

TRANSCRIPT OF PROCEEDINGS

DEPARTMENT OF HEALTH AND HUMAN SERVICES

FOOD AND DRUG ADMINISTRATION

CENTER FOR DRUG EVALUATION AND RESEARCH

BLOOD PRODUCTS ADVISORY COMMITTEE

59th Meeting

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

Pages 1 thru 230

Rockville, Maryland
June 18, 1998

MILLER REPORTING COMPANY, INC.

507 C Street, N.E.
Washington, D.C. 20002
(202) 546-6666

sgg

1

AT

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

1545 '98 JUL -6 A9:48

BLOOD PRODUCTS ADVISORY COMMITTEE
59th MEETING

Thursday, June 18, 1998

8:00 a.m.

Doubletree Hotel
Plaza I and II
Rockville, Maryland

PARTICIPANTS

F. Blaine Hollinger, M.D., Chairperson
Linda Smallwood, Ph.D., Executive Secretary

MEMBERS

John V. Boyle, Ph.D.
Corey S. Dubin
Norig Ellison, M.D.
Richard J. Kagan, M.D.
Marion A. Koerper, M.D.
Jeanne V. Linden, M.D.
William J. Martone, M.D.
Mark A. Mitchell, M.D.
Kenrad E. Nelson, M.D.
David F. Stroncek, M.D.
Joel I. Verter, Ph.D.

TEMPORARY VOTING MEMBER

Paul R. McCurdy, M.D.

NON-VOTING REPRESENTATIVES

Katherine E. Knowles, Consumer Representative
Donald H. Buchholz, M.D., Industry Representative

GUEST

Mary E. Chamberland, M.D.

C O N T E N T S

Conflict of Interest Statement, Linda Smallwood, Ph.D.	5
Committee Updates, FDA:	
Hepatitis C Lookback Notification, Paul Mied, Ph.D.	11
PHS Hepatitis C Prevention and Control Program, Hal Margolis, M.D., CDC	19
Deferral of Xenotransplantation Recipients and Partners, Andrew Dayton, M.D., Ph.D.	28
Unusual HIV-1 Variants, Indira Hewlett, Ph.D.	32
Blood Action Plan, David Feigal, M.D.	40
IGIV Supply Issues, Basil Golding, M.D.	55
Plasma Inventory Hold:	
Brief Overview, Robin Biswas, M.D.	83
American Blood Resources Association:	
Alan Liss, Ph.D.	87
George Schreiber, D.Sc.	90
Toby Simon, M.D.	103
Increased Sensitivity of Manufacturers' Tests for HIV Variants:	
Introduction and Background, Walter Koch, Ph.D., Division of Transfusion Transmitted Diseases	126
Public Health Basis for HIV Counseling and Testing Using Rapid Tests, Bernard M. Branson, M.D., CDC	129
Murex Diagnostics Data, Ken Shockley, Ph.D.	139
Data Presentation, Niel Constantine, Ph.D., Institute of Human Virology, University of Maryland	141
Data Presentation, Michael Busch, M.D., Ph.D., Irwin Memorial Blood Center	147

C O N T E N T S(continued)

Spectrum of U.S. Kit Sensitivity, Walter Koch, Ph.D., Division of Transfusion Transmitted Diseases	159
Open Public Hearing:	
Dr. Gerald Schochetman, Abbott Laboratories	166
Dr. Hubert Bayer, Boehringer Mannheim	176
Dr. Shernel McDonnough, Genprobe, Inc.	179
Dr. Howard Urnovitz, Calyptebiomedical	186
Dr. Steven A. Herman, Roche Molecular Systems	195
Open Committee Discussion	202

P R O C E E D I N G S

Statement of Conflict of Interest

DR. SMALLWOOD: Good morning, and welcome to the 59th meeting of the Blood Products Advisory Committee. I am Linda Smallwood, the Executive Secretary of the Committee, and at this time I will read the conflict of interest statement, for your hearing pleasure.

[Laughter]

This announcement is made a part of the record at this meeting of the Blood Products Advisory Committee on June 18th and 19th, 1998.

Pursuant to the authority granted under the Committee Charter, the Director of the FDA's Center for Biologics Evaluation and Research has appointed Dr. Paul McCurdy as a temporary voting member for all Committee discussions.

In addition, the Acting Commissioner of the FDA has appointed Drs. Ralph D'Agostino and Lemuel Moyer as temporary voting members for the discussion on the review of clinical trial design for alpha-1 proteinase inhibitors.

Based on the agenda made available and on relevant data reported by participating members and consultants, it has been determined that all financial interests in firms regulated by the Center for Biologics Evaluation and Research that may be affected by the Committee's discussions

1 have been considered.

2 No waivers under 18 USC 208(b)(3) were necessary.

3 In addition, Mr. Dubin disclosed a potential conflict of
4 interest which has been deemed by FDA as not requiring a
5 waiver, but does suggest an appearance of a conflict of
6 interest. On March 9, 1998, the Agency approved a written
7 appearance determination under 5 CFR Part 2635.502 of the
8 Standards of Ethical Conduct for this appearance. The
9 determination is relevant for this meeting and Mr. Dubin is
10 permitted to participate and vote on all Committee
11 discussions.

12 With regard to FDA's invited guests for Topic IV
13 on standard testing for HIV variants, the Agency has
14 determined that the services of these guests are essential.
15 There are reported interests which are being made public to
16 allow meeting participants to objectively evaluate any
17 presentation and/or comments made by the participants. The
18 interests are as follows:

19 Dr. Michael Busch reported that he was involved in
20 the past in clinical trials of assays developed by Murex
21 Diagnostics, Inc., Abbott Labs, Bio-Rad Labs, Cambridge
22 Biotech Corp., Cellular Products Inc., Coulter Corp.,
23 Epitope and Genetic Systems Corp. He has received speaker
24 fees from Abbott and serves as a scientific advisor to
25 Abbott.

1 Drs. Bernard Branson and Mary Chamberland had no
2 financial interests to report for the discussion on standard
3 testing for HIV variants.

4 Also, with regard to FDA's invited guests and
5 speakers for Topic V on the review of clinical trial design
6 for alpha-1 proteinase inhibitors, the Agency has determined
7 that the services of these guests and speakers are
8 essential. There are reported interests which are being
9 made public to allow meeting participants to objectively
10 evaluate any presentation and/or comments made by the guests
11 and speakers. The interests are as follows:

12 Dr. Mark Brantley reported that he is an employee
13 of the National Heart, Lung and Blood Institute, NIH. As
14 part of his federal duties he has associations with alpha
15 Therapeutics, Bayer and Centeon. In addition, he is a
16 member of the Board of Directors for Alpha One Foundation
17 and Co-chairman of the alpha One Foundation Registry
18 Research Network. Also, NIH has received a gift from Bayer
19 to support an NIH phenotyping lab.

20 Dr. Edward Campbell reported that he one of five
21 principal investigators on an Alpha Therapeutic study on
22 alpha-one proteinase inhibitor deficiency. He also has an
23 interest in a firm which operates a detection center to
24 provide alpha-1 antitrypsin deficiency testing. The
25 detection center receives some support from Bayer.

1 Dr. Ronald Crystal consults with Centeon on alpha-
2 1 proteinase. He receives remuneration. In addition, he is
3 also involved in the design and manufacturing of therapeutic
4 vectors.

5 Dr. Robert Stockely receives research funds from
6 Bayer to study unrelated issues.

7 Dr. James Stoller reported that he is the
8 principal investigator on an alpha Therapeutic study; has
9 received speaking fees from Bayer and Alpha Therapeutics;
10 and is a scientific advisory to Bayer, Alpha Therapeutics
11 and Centeon.

12 Mr. John Walsh reported that he is the president
13 and founder of the Alpha One Foundation and its subsidiary,
14 AlphaNet, non-profit organizations that provide consumer and
15 patient advocacy to the Alpha community.

16 Drs. Asger Dirksen and Mark Schluchter had no
17 financial interests to report for the review of clinical
18 trial design for alpha-1 proteinase inhibitors.

19 In the event that the discussions involve specific
20 products of firms not on the agenda for which FDA's
21 participants have a financial interest, the participants are
22 aware of the need to exclude themselves from such
23 involvement and their exclusion will be noted for the public
24 record.

25 Screenings were conducted to prevent any

1 appearance, real of apparent, of conflict of interest in
2 today's committee discussions. Copies of the appearance
3 determination addressed in this announcement are available
4 by written request under the Freedom of Information Act.
5 With respect to all other participants, we ask in the
6 interest of fairness that they address any current or
7 previous financial involvement with any firm whose products
8 they wish to comment upon.

9 At this time, I would like to introduce the
10 members of the Committee. I would like for those members,
11 when I call your name, to please raise your hand. Following
12 that, I will make another brief announcement and then we
13 will proceed with our meeting for today.

14 The Chairman of the Blood Products Advisory
15 Committee, Dr. Blaine Hollinger; Dr. Marion Koerper; Mr.
16 Corey Dubin; Dr. Richard Kagan; Dr. John Boyle; Dr. William
17 Martone; Dr. Jeanne Linden; Dr. Norig Ellison; Dr. Joel
18 Verter; Dr. Paul McCurdy; Dr. Buchholz; Miss Katherine
19 Knowles.

20 We will also have participating as temporary
21 voting members Dr. Ralph D'Agostino and Dr. Lemuel Moye, who
22 will be in attendance tomorrow. If they are here, if you
23 would please raise your hand.

24 We will also have guests of the Committee, Dr.
25 James K. Stoller and Dr. Mary Chamberland.

1 At this time, I would like to announce that there
2 are proposed workshops for 1998. There should have been a
3 listing outside on the table. I will just read the name of
4 the workshops quickly, to inform you that for each of these
5 workshops we will ask that there will be participation from
6 our Blood Products Advisory Committee in these workshops,
7 and that participation will be determined through the
8 Chairman of the Committee.

9 The workshops are as follows. There will be a
10 stem cell workshop, one day, to be held on September 10,
11 1998. There will be a one-day granulocyte workshop, to be
12 held on September 11, 1998. There will be a one-day PCR
13 workshop, to be held on September 16, 1998; a one-day
14 platelet workshop, to be held on September 28, 1998; a
15 public meeting on the rewrite of blood regulations for one
16 day, held on September 16, 1998; a donor suitability
17 workshop, to be held for two days, December 7th and 8th,
18 1998; and a blood licensing workshop, to be held for one day
19 on December 9th, 1998.

20 As I said, that information is available at the
21 outside table. Yes, Dr. McCurdy?

22 DR. MCCURDY: I would like to note for the record
23 that the donor suitability workshop occurs right in the
24 middle of the annual meeting of the American Society of
25 Hematology.

1 DR. SMALLWOOD: Thank you, Dr. McCurdy. So noted.
2 If there are no declarations to be made at this time, I
3 would like to turn the proceedings of this meeting over to
4 the Chairman, Dr. Hollinger. I would just like to remind
5 everyone that we do have a full agenda today. I know that
6 we got started a little late but I would ask, in the
7 interest of fairness and time constraints, that we try to
8 adhere to our time frame, and I will assist Dr. Hollinger in
9 that task. Thank you.

10 DR. HOLLINGER: Thank you very much, Linda. This
11 is the 59th meeting of the Blood Products Advisory
12 Committee, and most of the things that we are going to be
13 doing this morning are going to be informational. Then,
14 this afternoon there is more discussion and a question for
15 recommendations, and so on.

16 So, I think with that in mind, let's go ahead and
17 get started. I think there are some very important issues
18 that we need to be apprised of. So, let's start out with
19 the first update, and that is on hepatitis C recipient
20 notification. I think Dr. Mied is going to do that.

21 **Committee Updates**

22 **Hepatitis C Lookback Notification**

23 DR. MIED: Thank you, Dr. Hollinger.

24 [Slide]

25 This is an update for the Committee on hepatitis C

1 recipient notification, or lookback for hepatitis C. A
2 guidance for industry on HCV lookback, supplemental testing
3 and the notification of consignees of donor test results for
4 antibody to hepatitis C virus, anti-HCV, was given notice in
5 the Federal Register 63 FR 135.75, and provided on the
6 Internet at CBER's home-page for purposes of comment and
7 implementation, on March 20, 1998.

8 This guidance was issued in response to
9 recommendations of the PHS Advisory Committee on Blood
10 Safety and Availability, made subsequent to its meeting in
11 August, 1997. This guidance supplements the July 19, 1996
12 guidance document, entitled, "Recommendations for the
13 Quarantine and Disposition of Units from Prior Collections
14 from Donors with Repeatedly Reactive Screening Tests for
15 Hepatitis B Virus, HBV, Hepatitis C Virus, HCV, and Human T-
16 Lymphotropic Virus Type 1, HTLV-1."

17 The notice of availability regarding the HCV
18 lookback guidance document gave a recommended date for
19 submissions of the comments by May 19th. However, written
20 comments and suggestions regarding the document may be
21 submitted to FDA at any time.

22 My next three slides summarize the guidance
23 document recommendations, and reiterate the recommendations
24 made in the product retrieval guidance document, issued on
25 July 19, 1996, which also pertain to lookback for HCV.

1 [Slide]

2 When a repeatedly reactive result on a licensed
3 multiantigen anti-HCV EIA is currently obtained, or is a
4 historically repeatedly reactive result found as a result of
5 a retrospective review of records, FDA recommends that prior
6 collections be quarantined, and consignees be notified so
7 they may quarantine prior collections that they hold. FDA
8 recommends that the current sample be tested using a
9 multiantigen supplemental test.

10 [Slide]

11 This multiantigen supplemental test may be either
12 a licensed RIBA 2.0 or an investigational 3.0, and FDA
13 recommends that lookback be carried out on a positive or
14 indeterminate RIBA 2.0 unless an indeterminate RIBA 2.0 is
15 followed up with a RIBA 3.0 and the result is negative or
16 indeterminate. Lookback is recommended for positive RIBA
17 3.0 whether the RIBA 3.0 was run initially or was performed
18 to resolve an indeterminate RIBA 2.0

19 Now, lookback, as I am referring to, is the
20 identification of previously distributed units from the same
21 donor dating back 10 years for a current repeatedly reactive
22 result, or dating back to January 1, 1988 for a previous
23 repeatedly reactive result for a donor with a record of
24 prior donation, or in either case to the date 12 months
25 prior to the most recent negative licensed multiantigen

1 screening test, whichever is the later date. The lookback
2 process also includes the notification of consignees of the
3 screening test result and the supplemental test result when
4 it is available.

5 This chart summarizes whether lookback should or
6 should not be carried out, depending on the supplemental
7 test result obtained. It applies both to prospective
8 lookback for a current repeatedly reactive EIA result and to
9 retrospective lookback for a historical repeatedly reactive
10 result. For each of the testing outcomes the indication is
11 also made whether to destroy or label quarantined prior
12 collections or to release them, as discussed in the July 19,
13 1996 guidance on product retrieval.

14 Blood establishments should identify previously
15 distributed units and notify consignees, and transfusion
16 services should trace and notify recipients of prior
17 collections through the patient's physician of record when
18 the test results are as indicated, a positive or
19 indeterminate RIBA 2.0 or a positive RIBA 3.0.

20 The guidance states that the notification of
21 consignees in the retrospective lookback should begin within
22 6 months of the date of the guidance and be completed within
23 1 year of implementation of suitable procedures.

24 [Slide]

25 If the supplemental test was not done on a

1 historical repeatedly reactive donation, that is, a
2 repeatedly reactive donation from a donor with a record of
3 prior donation dating back to January 1, 1988 which was
4 found as a result of a retrospective records review, three
5 options are recommended to the blood establishment, as
6 summarized on this slide:

7 Option one is to test a stored frozen sample from
8 the repeatedly reactive donation on a supplemental test.
9 Option two is to test a fresh sample from the donor by a
10 licensed multiantigen EIA and, if repeatedly reactive, to
11 perform a supplemental test.

12 For options one and two, whether or not consignees
13 should be notified so that prior recipients may be notified
14 depends upon the result of the supplemental test which is to
15 be performed within 6 months of the date of the guidance, as
16 shown for the various supplemental test outcomes on the last
17 chart that I showed you.

18 Option three is to proceed with notification of
19 consignees of the repeatedly reactive result if neither a
20 test on a frozen sample from the repeatedly reactive
21 donation nor a test on a fresh sample from a donor is
22 performed.

23 Comments on the guidance which have been received
24 that may necessitated significant changes in the guidance
25 encompass several major issues. First of all, time frames

1 for consignee and recipient notifications: The
2 retrospective lookback is to involve an estimated 500,000
3 components, and difficult lookback situations may be
4 anticipated for some blood establishments. As a result, a
5 request has been made to extend the time period to complete
6 the retrospective lookback to two years from the date of the
7 guidance. The guidance currently provides six months for
8 notification of consignees to begin, and then one year
9 following the date of implementation to complete the
10 notifications, for a total of up to 18 months. FDA's
11 current intention is to make this change to the guidance
12 document.

13 Due to the large number of notifications which are
14 anticipated in the retrospective lookback effort, industry
15 additionally has requested that transfusion services be
16 given a year to carry out notifications of recipients
17 identified in the retrospective records review rather than
18 eight weeks, as provided for prospective notifications.
19 FDA's current intention is to clarify the guidance so that a
20 year will be permitted for the retrospective notifications
21 of transfusion recipients.

22 The blood banking community has also requested
23 that prospective notification of consignees be required
24 within 30 calendar days after receipt of the supplemental
25 test result, or within 45 or 60 days of the repeatedly

1 reactive screening test result, whichever is sooner, rather
2 than within 30 days of the donor's repeatedly reactive
3 screening test. At this time, FDA does not believe that
4 notification within 30 calendar days of a repeatedly
5 reactive screening test constitutes an undue burden.
6 However, we will consider additional comments on this issue.

7 The guidance states that if a donor is repeatedly
8 reactive on a multiantigen screening test and then
9 indeterminate on RIBA 2.0, the blood establishment could
10 retest using the investigational RIBA 3.0, and that lookback
11 would not be required if the result is negative or
12 indeterminate. Due to difficulties surrounding the ability
13 of investigational RIBA 3.0 kits, the blood banking
14 community has suggested for RIBA 2.0 indeterminates that the
15 lookback be waived if an EIA 3.0 is performed and the result
16 is negative.

17 Data have been obtained to suggest the validity of
18 obviating the lookback for a negative result on the EIA 3.0
19 even in the face of a RIBA 2.0 indeterminate. Recent
20 studies have shown that for RIBA 2.0 indeterminate, EIA 3.0
21 negatives the probability that the result would be positive
22 on RIBA 3.0 is about 0.8%. Two out of two such samples
23 identified in research studies were both PCR negative,
24 suggesting that the prior donations might not have been
25 infectious in any case. This low rate of RIBA 3.0

1 positivity and absence of PCR positivity suggests that it
2 would be valid to override RIBA 2.0 indeterminates with a
3 negative EIA 3.0. It is our current thinking to permit the
4 use of the EIA 3.0 to resolve RIBA 2.0 indeterminates.

5 First-generation EIA: Other comments include the
6 proposed use of repeatedly reactive results on the first-
7 generation EIA, now frequently referred to as EIA 1.0,
8 dating back to 1990, as a trigger for lookback. The
9 military and some private sector blood banks have indicated
10 that they are considering doing lookback on all first-
11 generation EIA, or EIA 1.0, repeatedly reactive donors.

12 In the reissuance of the guidance, we will
13 reiterate the FDA recommendation at this time that lookback
14 should be triggered by a repeatedly reactive result on a
15 multiantigen screening test, an EIA 2.0 or EIA 3.0, in
16 conjunction with certain supplemental test results, as I
17 have described. This recommendation is made with the
18 expectation of a concurrent public education campaign,
19 including a recommendation for testing all blood recipients
20 prior to July, 1992.

21 I should add that there will be evaluations by the
22 Public Health Service to determine the utility of extending
23 the targeted lookback to encompass EIA 1.0. But, at
24 present, we are only recommending multiantigen screening
25 tests as the basis for HCV lookback.

1 Other changes to the guidance document will also
2 be considered. FDA intends to revise the guidance for
3 industry document and reissue and mail the guidance to blood
4 establishments. In addition, the FDA intends to follow the
5 guidance process with rule-making. I would like to
6 emphasize that the Agency is committed to the rule-making
7 process for promulgating HCV lookback.

8 Now, Dr. Hal Margolis, from the CDC, will provide
9 the Committee with a summary of the PHS program for
10 hepatitis C prevention and control, including an educational
11 campaign targeted both to healthcare providers and the
12 public. I will be pleased to take any questions the
13 Committee might have. Thank you.

14 DR. HOLLINGER: Thank you, Paul. Do we want to go
15 ahead and have Hal comment first? Hal, why don't you go
16 ahead? Dr. Margolis, from the CDC Hepatitis Branch.

17 **Hepatitis C Prevention and Control Program**

18 DR. MARGOLIS: Thank you. What I am going to do
19 this morning, and it is something that was triggered by the
20 Secretary's letter of concurrence to the Blood Safety and
21 Availability Committee, back in January, that, in fact, CDC
22 should put together a plan for the Public Health Service,
23 outlining those activities that would both deal with
24 identification and prevention of HCV infection in recipients
25 of blood transfusion who may have been infected, as well as

1 other high risk groups, groups at risk for HCV infection.

2 [Slide]

3 Basically the components of the plan, and I
4 apologize I don't have the plan to hand out to you at this
5 point because it is still in the Secretary's office going
6 through some of the approval issues so it is really not out
7 for distribution -- it begins with prevention and detection
8 of HCV infection in the very large population of
9 approximately 4 million people who are chronically infected,
10 looking at activities to control HCV-related product
11 disease, including chronic liver disease. There is an
12 evaluation component to it. Then, as importantly needed, a
13 surveillance and research component.

14 [Slide]

15 Probably most importantly, and I will focus on
16 what is really what is pertinent to this group here, and
17 that is secondary prevention activities which is really
18 identifying infected individuals and, as I say, given the
19 estimates of 4 million infected individuals in the country,
20 that is a daunting task which involves identifying HCV-
21 infected persons and really providing appropriate testing
22 and medical management as specified and, I think, guided now
23 by the NIH consensus conference statement on management of
24 hepatitis C.

25 [Slide]

1 In terms of some of the testing activities that,
2 again, this Committee and participants are most interested
3 in are clearly the ongoing and continued testing of blood,
4 organ and tissue donors. What I am really going to focus on
5 now is the issue of both targeted lookback and general
6 notification of transfusion recipients.

7 In putting together the plan and in discussions
8 that have been held in various advisory committees and
9 comments by industry to the Health Service, basically it has
10 been our perception and our assumption that, in fact, the
11 targeted lookback is something that is primarily going to be
12 conducted by the blood industry, both by the blood
13 collection agency as well as the transfusion services. In
14 fact, as far as public sector programs, that is something
15 that PHS, other than the guidance and much of the supporting
16 educational material, has not put together a major effort or
17 plans for conducting.

18 Where our area has been focused is that of what
19 one might call general notification. I think the most
20 important part of this, and I think as those of you who may
21 not have seen this week's "US News & World Report," of which
22 the cover is hepatitis C and the statement is, "you may have
23 the silent killer that even your doctor wouldn't know."

24 [Slide]

25 We have focused a tremendous amount of attention

1 on the education of the health professional. This was
2 started late last year with a Public Health Service-
3 sponsored satellite teleconference and, in fact, now the
4 audiotape from that has been produced and the PHS is
5 planning to mail to every primary physician in the country.
6 This is going to go on this summer, with a little box that,
7 hopefully, all of you are going to get and there will be
8 special mailings to blood banking and blood industry.

9 This is my "show and tell." Basically, it is
10 going to have the logo of that satellite conference. In it
11 you are going to find about an 80-minute audiotape and,
12 probably most importantly, a card that a physician can put
13 on the wall, his pocket or wherever that tells them who they
14 should be screening for HCV, and on the back of that is a
15 very simple algorithm for HCV testing that one would use,
16 again, as a primary care physician.

17 In addition to that, there are two educational
18 pamphlets, one for the HCV-infected individual and the other
19 on prevention of HCV infection. These are the ones that we,
20 at CDC, have had for a number of years. Then, a list of
21 resources for both physicians and patients in terms of where
22 you can get additional information. It includes various
23 government agencies, national health, volunteer agencies,
24 websites, hotlines, and all of that type of information.
25 So, basically you are looking at close to a quarter of a

1 million physicians and residents in training who are going
2 to receive that beginning this summer. We see that is, you
3 know, the most important for getting the message out.

4 Clearly, after that comes education of the public
5 and, again, CDC and other PHS agencies but with CDC having
6 the lead, we are in the process now of beginning to put
7 together general media information -- this is the new word,
8 media advertising, basically public service announcements
9 and those types of activities. As I say, given the strong
10 interest by the news media, with articles such as you are
11 seeing in "US News & World Report," and which I think was
12 fairly balanced except for the statement that if you want to
13 find out your status, you know, go, donate blood. You have
14 to realize we didn't have the final right of refusal on this
15 article. We tried to get most of the facts of information
16 correct in it. I think others in this audience who may have
17 participated with the various reporters -- you know, it
18 doesn't always come out right, and those are the things that
19 we will try and deal with. I presume some of us going to
20 write some letters to the editor, hopefully, to correct that
21 in terms of the magazine.

22 But that is basically the plan. You are probably
23 saying, "well, where is the line item in the congressional
24 budget for this?" As I had to speak to all of our state and
25 territorial health officers last week, the bottom line is

1 that Congress is only becoming aware of this. CDC will have
2 modest funding for this in the 1999 fiscal budget, and we
3 are hoping that by the year 2000 there are going to be much
4 more resources in terms of at least public sector testing
5 and counseling. Clearly, the HIV prevention and counseling
6 infrastructure that is out there in everybody's community
7 right now is going to be heavily leveraged at least in terms
8 of part of this activity, and I think with that I will
9 probably stop and answer questions.

10 DR. HOLLINGER: Thank you, Dr. Margolis. This
11 whole issue is so important, particularly to the blood
12 banking community, the American Red Cross asked if they
13 could make a statement, and I am going to allow that for
14 about five to seven minutes so that we can hear their
15 viewpoints. I don't have a name, but is there someone from
16 the American Red Cross?

17 DR. DAVEY: Dr. Hollinger, I believe there has
18 been some error. We don't have a statement to make on that
19 today.

20 DR. HOLLINGER: You have withdrawn from that?
21 Thank you. Are there any particular questions that anyone
22 wants to address?

23 DR. BOYLE: I have a general question. That is,
24 how do they plan to evaluate the effectiveness of the
25 patient notification? In other words, what percentage of

1 patients actually receive these notifications?

2 DR. HOLLINGER: Dr. Mied or Dr. Margolis?

3 DR. MARGOLIS: There is currently a collaborative
4 effort between CDC, FDA and ACPR to put together a
5 population-based evaluation. Protocols are actually in the
6 pipeline and being written. Clearly, we think targeted
7 lookback would be the easiest. General notification is
8 going to be the most difficult. And, we are planning to use
9 somewhere between 4 and 8 sites around the country that
10 would represent both blood collection agencies or Red Cross
11 and ABC, and try and look at all the components including
12 nested studies that would look at why an individual who
13 receives a letter may or may not then act upon it. So, you
14 know, that is all in the pipeline. We are all scurrying to
15 try and put this together. Some things can begin to happen
16 by this fall.

17 MR. DUBIN: First of all, we are glad to see you
18 looking to the HIV counseling and testing program. I chair
19 California's Prevention Working Group. It is something we
20 have been very successful at. But I am wondering if
21 actually implementing that, which we think is most
22 important, is going to take a specific increase in budget
23 from Congress and, if so, if we could get a discussion going
24 between some of us who are doing that in terms of Congress
25 on prevention issues to try to lend CDC a hand, because our

1 biggest concern at the Committee of Ten Thousand is that
2 people get the information and then the counseling and
3 testing program is not in place for them to understand and
4 be able to plug in, which is kind of what happened when
5 Chairman Shays released the press release and there was all
6 this noise but nowhere to turn yet, and we have concerns
7 about that.

8 DR. MARGOLIS: At least within CDC, having met now
9 with some of the HIV counseling and testing groups, clearly
10 there is going to be need to be a tremendous amount of
11 training. CDC can work internally to deal with that through
12 the various national training networks but, as you point
13 out, when you really get down to testing, counseling and
14 referral there is going to be need to be a lot resources and
15 we would welcome that support.

16 DR. HOLLINGER: Dr. Margolis, I know they have
17 talked a lot about supplemental testing, and maybe even Paul
18 might answer this, but why haven't they considered using
19 ratios? I mean, there is a lot of information out there
20 that suggests high ratios, three or above for the anti-HCV
21 test is very concordant with the supplemental tests. Of
22 course, it is a lot less expensive to use that. You are
23 going to miss some anyway. There is already data here that
24 the indeterminates for the RIBA 3.0 might be PCR negative
25 about 5%. But even if a patient is negative by PCR now, it

1 doesn't mean that they weren't positive before. We already
2 know that about 15% patients actually lose all markers of
3 their hepatitis C later on and wouldn't even be detected in
4 the first place. So, with all those in mind, I am not sure
5 why these issues from the REDS data or other data have not
6 been considered.

7 DR. MIED: Dr. Hollinger, we would like to see
8 data that, you know, accurately describes what you are
9 talking about. The use of the EIA 3.0 is an instance where
10 we have seen the data and feel that it can be used to
11 exonerate RIBA 2.0 indeterminates or to resolve them, but
12 the situation you are talking about is one in which we would
13 welcome a review of the data.

14 DR. HOLLINGER: That is good. Thank you. Yes,
15 let's have one more question and then we will have to move
16 on. Go ahead.

17 DR. STRONCEK: Will any of this monitoring follow
18 the patients through to see how many get treated and what
19 the outcome of that treatment is?

20 DR. MARGOLIS: That, again, in terms of the
21 formative stages of the evaluation would be done in some
22 nested study that, again, hopefully could be generalizable.

23 DR. HOLLINGER: Thank you very much. The next
24 piece of information we are going to discussion is deferral
25 of xenotransplantation recipients and partners. Actually, I

1 think those of you who have read the FDA guideline for
2 xenotransplantation -- I thought it was an excellent
3 guideline, by the way, and a lot of thought has gone into
4 this based on a lot of information -- but this issue of
5 xenotransplantation and deferral of recipients and partners
6 will also become an issue. Dr. Dayton is going to -- yes,
7 Andy?

8 **Deferral of Xenotransplantation Recipients and Partners**

9 DR. DAYTON: Good morning. I am Andy Dayton. At
10 the last meeting of the Blood Products Advisory Committee we
11 presented a summary of the xenotransplantation issue and of
12 primary concern, obviously, was the deferring from blood
13 donation of xenotransplant recipients. Of course, the
14 thorniest issue was the question of deferring from donation
15 of close contacts of xenotransplant recipients.

16 The Committee was advised that xenotransplant
17 recipients were counseled, under current protocol, that they
18 were at risk of harboring and transmitting to sexual
19 partners novel, unknown and potentially serious pathogens.
20 Before deciding on whether or not to recommend deferral of
21 close contacts, the Committee wanted clarification as to
22 whether or not xenotransplantation recipients were counseled
23 to use barriers during sexual intercourse, and elected to
24 table the issue. Not taken into consideration at was the
25 likelihood that, regardless of counseling, recipients and

1 their partners would be expected to fail to use barriers
2 quite often, even if counseled to use them, given that the
3 nature of the risk is hypothetical and largely unknown.

4 In the draft Public Health Service guideline on
5 infectious disease issues and xenotransplantation, a copy of
6 which is in your pre-meeting materials, the informed consent
7 guidelines require that the recipients be informed of, and I
8 quote, potential risk of transmission of xenogeneic
9 infectious agents to the recipient's family or close
10 contacts, especially sexual contacts. Close contacts are
11 defined as household members and others with whom the
12 recipient participates in activities that can result in
13 exchange of bodily fluids. The recipient should be informed
14 that transmission of the agent can be minimized by the use
15 of barriers. Of course, it goes on further but that is the
16 critical excerpt.

17 Recently, CBER has developed a xenotransplantation
18 action plan, led by Dr. Amy Patterson. This follows largely
19 on the guidelines in the same document. The highlights of
20 the xenotransplantation action plan are essentially that
21 xenotransplants are to be considered biologics and to be
22 regulated under IND. There will be establishment of a
23 registry and monitoring of xenorecipients xenodonors, and
24 archiving of patient and donor samples.

25 There will be very strongly encouraged counseling

1 for partners. This counseling will be voluntary but it will
2 be strongly advertised in the recipient setting and strongly
3 encouraged, but it does remain voluntary.

4 The plan also calls for the deferral of close
5 contacts as well as recipients. Close contacts are defined
6 as household members and others with whom the recipient
7 participates in activities that could result in exchange of
8 bodily fluids.

9 It is hoped that these guidelines will be
10 published in the Federal Register in the late fall of 1998.
11 In compliance with the CBER xenotransplantation action plan,
12 the Office of Blood Research and Review had developed a
13 xenotransplantation guidance document which will soon be put
14 out for comment. A draft copy of this document is in your
15 pre-meeting materials.

16 [Slide]

17 The key point in this document highlights for your
18 concerns the deferral issue, which is handled as follows:
19 Under the donor deferral section, persons who have received
20 xenografts should be permanently deferred from donating
21 whole blood, blood components, source plasma and source
22 leukocytes. Persons who have had repeated close contact
23 with recipients of xenografts, including sexual partners,
24 household members and any others with whom the xenograft
25 recipient participates in activities that could result in

1 exchange of bodily fluids should be permanently deferred
2 from donating whole blood, blood components, source plasma
3 and source leukocytes.

4 With respect to what we are going to put in the
5 donor questionnaire, potential donors should be asked the
6 following two questions: Have you or your sexual partner or
7 any other close contact ever received a transplant of living
8 cells, tissues or organs from any animal source? And, have
9 you or your sexual partner or other close contact had your
10 blood returned to your body after perfusion through an
11 animal organ or through any device containing animal tissues
12 or cells?

13 Potential donors answering questions 3(a) or 3(b)
14 affirmatively should be permanently deferred unless in the
15 medical director's judgment the nature of the reported close
16 contact is unlikely to result in intimate exchange of bodily
17 fluids.

18 In closing, there is one point I should make with
19 respect to these donor questions in the donor questionnaire.
20 We have given some thought as to how to make them fairly
21 simple and not too complex, but it should also be remembered
22 that anybody who would be targeted by these questions has
23 been through a transplant procedure and has been highly
24 educated in the nature of their disease, and we feel that
25 will enable them to respond appropriately to these

1 questions. Thank you.

2 DR. HOLLINGER: Thank you, Dr. Dayton. Are there
3 any questions of Dr. Dayton on this issue? We are obviously
4 going to hear more about this as time goes on as porcine
5 organs and other things are going to be used more in
6 transplantation. It will become a very important issue and
7 we will have to discuss more closely some of the issues
8 about close contacts as well sexual partners.

9 DR. DAYTON: It will definitely come back.

10 DR. HOLLINGER: Yes, I am sure it will. The next
11 item is on unusual HIV-1 variants. That will be presented
12 by Dr. Hewlett.

13 **Unusual HIV-1 Variants**

14 DR. HEWLETT: Thank you, Dr. Hollinger. Good
15 morning.

16 Today, I will discuss briefly the identification
17 of a new and unusual variant of HIV that appears to be
18 somewhat distinct from HIV groups M and O.

19 [Slide]

20 As you know, the genetic diversity of HIV viruses
21 is an evolving and well documented phenomenon, and we have
22 been monitoring this scenario primarily from the standpoint
23 of their impact on HIV diagnostic assays that are currently
24 in use.

25 [Slide]

1 I just thought I would give you a slight
2 background on the issue. Genetic variation of HIV may be
3 best understood within the context of lentiviruses that
4 infect both human and non-human primates. HIV-1 and HIV-2
5 are both lentiviruses that infect humans, with the HIV-1
6 viruses clustering closer to the chimpanzee SIV viruses and
7 the HIV-2 viruses clustering with the other non-human
8 primate viruses. There are two groups of HIV-1 viruses, the
9 major HIV-1 subtypes, referred to as group M, and the
10 genetic outliers, referred to as group O.

11 [Slide]

12 Why do we have so much diversity in HIV? These
13 are just some of the possible reasons that might contribute
14 to diversity, and I will quickly run through them. One
15 hypothesis is that these viruses may represent cross-species
16 transmission of related viruses found in non-human primates,
17 and multiple cross-species infections could have led to new
18 lineages of human viruses. A second reason is recombination
19 within and between subtypes. The third reason is the high
20 mutational rate of this virus, which is approximately, as
21 shown on this slide, about 3×10^{-5} nucleotide substitutions
22 per base pair, per replication cycle.

23 [Slide]

24 A few features of the phylogenetic relatedness of
25 the HIV viruses are listed on this slide. Basically, the

1 group O viruses are equidistant from group M and the
2 chimpanzee SIV viruses. While the group M subtypes appear
3 to be equidistant from each other, the viral strains from
4 group O also seem to be somewhat equidistant from each other
5 and they produce what is referred to as phylogeny, and I
6 will show you what that looks like on the next slide. The
7 finding of two separate star phylogenetic clusters of HIV
8 viruses suggest that groups M and O may have had two
9 separate ancestors and may, therefore, have resulted from
10 two separate zoonotic transmissions into the human
11 population.

12 [Slide]

13 This just represents a phylogenetic cluster and,
14 as you can see here, this group of M viruses have a well-
15 defined star cluster, with the result of which you have
16 distinct subtypes among these viruses. At this point, I
17 think there is about a total of 9 types, some of which are
18 actually recombinants among and within some of these
19 subtypes.

20 In regard to group O viruses, one does design a
21 star-like structure but the distances and the star formation
22 are not as well defined, partly because of there being fewer
23 viruses identified in the whole subtype.

24 [Slide]

25 At the fifth international conference on

1 retroviruses and opportunistic infections that was held in
2 Chicago, in February of this year, Francois Simon and his
3 colleagues, from Paris, France, reported on the isolation of
4 a highly divergent non-M, non-O HIV strain, termed YBF30,
5 which was isolated from a Cameroonian AIDS patient,
6 interestingly who had never left the country. This patient
7 was diagnosed as having AIDS in June, 1995 and died in
8 December, 1995. So, this virus was pathogenic and resulted
9 in the death of the individual. The virus was isolated from
10 blood and subjected to nucleotide sequencing.

11 [Slide]

12 Genetic characterization of the virus revealed
13 that the viral gene was related to both HIV M and the
14 chimpanzee SIV virus depending on the region of the genome
15 that you are looking at. I should mention that this virus
16 hasn't been completely sequenced at this point.

17 Genetic characterization also revealed proviral
18 DNA could not be detected using group M and group O specific
19 PCR primers, but it could be detected using high conserved
20 primers from the integrase region.

21 Regarding the structural genes, the gag and pol
22 genes appear to be highly similar to the chimpanzee SIV
23 where there was some differential relatedness in the
24 regulatory genes. So the tat, vpr and the nef genes
25 appeared to be equidistant between the HIV group M and the

1 chimpanzee SIV, whereas the vif and the rev sequences were
2 more closely related to the HIV-1 M.

3 [Slide]

4 The vpu, interestingly, is highly unique in this
5 virus and is more divergent from both HIV-1 and SIV_{cpz} than
6 the other genes. In the envelope region, however, these two
7 viruses, the YBF30 and the chimpanzee SIV appear to be very
8 closely related, suggesting a possible shared origin of the
9 two strains.

10 There appears to be no evidence for this virus
11 having been a result of recombination between known HIV
12 strains, although there is some limited recombination in
13 certain regions of the genome.

14 [Slide]

15 From a virologic standpoint, this virus could not
16 infect human CD4 positive T cell lines, but adapted to
17 culture in chimpanzee PBMC very quickly. It was capable of
18 using the CCR5 receptor but not the CSCR4 receptor, which is
19 consistent with the fact that it did not induce syncytia in
20 culture. This virus could also be inhibited by nucleoside
21 and non-nucleoside inhibitors.

22 [Slide]

23 A diagnostic evaluation of the specimen indicated
24 that serum from this patient was weakly positive on third
25 generation assays based on mixtures of recombinant antigens

1 and peptides. However, it was strongly positive on viral
2 lysate based assays. It was non-reactive on assays that are
3 based on peptides from M and O, that is, assays that are
4 based solely on peptides. It was also weakly reactive but
5 positive on Western Blots. So, one could design the various
6 bands, but each of these bands was not as intense as one
7 would see in an HIV M specimen. Viral RNA was not detected
8 using current versions of nucleic acid tests.

9 [Slide]

10 In an effort to track emerging HIV variants, the
11 French have set up a collaborative study in Cameroon and
12 Central Africa, and in this study 1200 sera were collected
13 from HIV-infected patients in Cameroon from 1987-1997, and
14 90% of these samples were typed as group M and 8% were O
15 based on analysis using specific peptides for each of these
16 groups. What they did was to design peptides and PCR assays
17 for the YBF30 virus, and analyzed all of these sera.

18 At this point, this is actually data that was
19 shared by Francois Simon. It is not published as yet. It
20 has been reported at meetings. And 2 out of actually 1200
21 sera were reactive using this YBF30 V3 peptide, and 3 sera,
22 collected in 1992, 1995 and 1997, were positive using the
23 PCR assay that was specific for YBF30 and not for any of the
24 other viruses.

25 [Slide]

1 So in summary, an unusual and highly divergent
2 variant HIV-1 has been isolated from a Cameroonian AIDS
3 patient who had actually never left the country. YBF30 is
4 the first reported counterpart of SIV_{cpz} in humans, and the
5 emergence of such unusual strains, I should say, although
6 rare does stress the need to maintain an ongoing
7 surveillance program for variants, particularly in light of
8 implications for diagnostics and vaccine development.

9 I would like to acknowledge Francois Simon and his
10 colleagues for sharing some of the unpublished data at this
11 point, and I know that a manuscript has been submitted and
12 they are waiting for comments. So, hopefully, we will be
13 able to have more details in the future. Thank you.

14 DR. HOLLINGER: Any questions? Is the PCR in the
15 integrase region conserved enough that it can be used for
16 all these agents, and would be a better choice for detecting
17 these agents in terms of that kind of detection assay?

18 DR. HEWLETT: Yes, in fact that is what the
19 authors have concluded, that perhaps the degree of
20 conservation is in the integrase region and that these
21 variants, although they are divergent, could possibly all be
22 detected when designed primers, such as those from the
23 integrase region for detection. So, it appears that in this
24 particular case they were able to identify a set from this
25 integrase region that actually detected all the other

1 strains that they surveyed in the study involving the 1200
2 samples.

3 DR. HOLLINGER: Thank you. Yes, Dr. Nelson?

4 DR. NELSON: They were positive with viral lysate-
5 based assays?

6 DR. HEWLETT: Yes.

7 DR. NELSON: And those are the ones that are
8 commonly used in screening in blood banks now?

9 DR. HEWLETT: Not exactly. I think that there has
10 been a move towards the use of more defined proteins like
11 recombinant antigens and peptides. They are easier to make.
12 You can put more on the plate. It cuts down on the non-
13 specificity of the assay. Certainly from a manufacturing
14 standpoint, you have a better way to QC and to produce them
15 in a more defined and consistent way. So, there has been a
16 trend over the last couple of years towards using
17 recombinant antigens and synthetic peptides, and that is the
18 reason why there is concern about variants because some of
19 them are missed by assays based solely on peptides.

20 DR. HOLLINGER: But to follow up on that, didn't
21 one slide show that it was weakly reactive to recombinant
22 and peptide assays?

23 DR. HEWLETT: These are third-generation assays
24 and, as I understand it, you know, certainly they are not
25 assays currently in use in the U.S. But these are assays

1 that are modified for group O and so on. So.

2 DR. HOLLINGER: Thank you. Thanks very much, Dr.
3 Hewlett. So, this ends then the Committee updates for this
4 morning. We are now going to move into two other areas
5 where there is going to be more open Committee discussion.
6 The first one is on the blood action plan and Dr. Feigal is
7 going to discuss that.

8 Blood Action Plan

9 DR. FEIGAL: Good morning. Going back several
10 years now, there have been a series of oversight hearings
11 looking at the blood program, looking at the safety of the
12 blood supply in the United States. It is actually a process
13 that continues and is intended to be ongoing.

14 But there has been very focused review by the
15 Institute of Medicine, congressional hearings, in particular
16 from the subcommittee chaired by Congressman Shay, by the
17 government Accounting Office which is the investigational
18 branch of Congress, and by the Inspector General of Health
19 and Human Services, which is the Department's
20 investigational body. These groups have broadly looked at
21 the decision-making process around blood safety. They have
22 looked at how advisory committees fit into that function.
23 They have looked at the way that FDA communicates
24 requirements to industry, and the effectiveness with which
25 they are brought in place. There have been very focused

1 looks at very specific problems, including hepatitis C,
2 product shortages, pool size, specific guidances. There
3 have been examinations of the way that FDA organizes and
4 conducts inspections of blood and plasma collection
5 facilities, of manufacturing and of the distribution chains.

6 Some of these have been focused enough that, as
7 you may know, they have examined the issue of saline
8 contamination of plasma in congressional hearings -- a very
9 technical, focused and specific problem.

10 There have been questions about how well FDA and
11 industry respond to emergencies. How do we deal with
12 withdrawal, recalls and those types of issued, and how well
13 are people notified about those issues?

14 I think if we were to kind of group the concerns
15 into broad areas across these different oversight groups,
16 one way of grouping them would be there is a group of
17 questions that ask how responsive has the Agency, has the
18 industry been to problems that have arisen? And, the
19 problems include dealing with new infections, or new tools
20 to discover old infections. How well have we dealt with
21 specific emergencies and needs to institute rapid changes?

22 Beyond the issue of how fast and how responsive
23 we all are, there has been the issue of how are things
24 communicated. Some of that deals with the fact that the
25 regulatory guidance and guidances for blood products are

1 complex, but also there is a broader issue than has been
2 dealt with by numerous groups which is going beyond the
3 issue of blood safety per se and dealt with issues that I
4 guess I would characterize as the right to know. As an
5 example, you may be using a screening test that has false
6 positives. That may be perfectly adequate for the public
7 health mission of protecting the blood supply but it doesn't
8 give accurate information to the person who donated that
9 unit. So, there has been focus on does the donor have the
10 right to know whether or not they have a true positive,
11 which gets into the issue of requiring supplemental tests,
12 for example, beyond the public health contribution. There
13 has also been the issue of the right to know at the consumer
14 level in terms of notification.

15 Then a third broad area beyond responsiveness,
16 beyond communication, there are many things which could be
17 grouped under inspectional practices of the FDA, and I will
18 talk about those in a little bit.

19 One of the changes that occurred because of all of
20 this oversight has been a fundamental reorganization of the
21 way that blood safety is assured within the Department of
22 Health and Human Services. Secretary Shalala, with some of
23 the functions delegated to the Surgeon General and Assistant
24 Secretary of Health, David Satcher, are the chief blood
25 safety officers for the Department. They have taken on the

1 responsibility for blood safety for the Department of Health
2 and Human Services.

3 In many ways this is appropriate because there is
4 a multifactorial effort to work with blood products that
5 involves many departmental agencies, not only FDA but, as
6 you well know, CDC, HCFA and other parts of the Department.
7 Advising Secretary Shalala is the Blood Safety Committee.
8 This is actually an internal committee that is made up of
9 agency heads. It is a committee that is meeting this
10 morning, unfortunately, since many of us would be directly
11 there. But this is the committee that make recommendations
12 to Dr. Satcher and Secretary Shalala.

13 The advisory committees have evolved in the last
14 five years, and advising the Blood Safety Committee is the
15 Blood Safety and Availability Committee. That is a
16 committee many of you have attended, but this is the
17 committee which is Department-wide. It advises all of the
18 departments in Health and Human Services.

19 Then, as you know, the committees within the FDA
20 have gotten more complicated in recent years. We have the
21 TSE committee which addresses the issues of the spongeoform
22 encephalopathies FDA-wide since there are issues that affect
23 food products, drugs and biologics and, of course, blood.
24 There is the Xenotransplantation Committee, which is a
25 subcommittee of the Biologic Response Modifier Committee.

1 Then, of course, there is BPAC, which is the grand-daddy I
2 think of all of these committees.

3 One of the real challenges as the Department and
4 the FDA have responded to the need to find more ways to seek
5 advice and communicate in open public hearings, is to
6 actually keep the role and the missions of the various
7 committees straight to make sure that we are not bringing
8 things to two committees and then having to find a third
9 committee as a tie-breaker.

10 [Laughter]

11 About a year ago, we were asked to systematically
12 go through the oversight reports, some of which were book-
13 length, bound as books, and identify the recommendations and
14 put together an organized effort to deal with these issues.
15 Last summer we proposed the formation of six teams, some of
16 which extend beyond the FDA. We began implementing the plan
17 for these six teams, but, because we were asking for
18 Department-wide support, we brought this plan up through the
19 Department and asked for endorsement and acceptance of the
20 plan by the Secretary. In fact, that was completed about a
21 month ago after review by all of the agencies.

22 But even though we had an approval process, we
23 actually have gone ahead during the last year. We set goals
24 for these teams during the last year, and the goals that we
25 set for ourselves were all met.

1 So, what I would like to do is go over the teams
2 and illustrate a little bit how we are trying to deal with
3 the oversight from the different committees. The first
4 team, and in some ways one of the largest efforts, is the
5 team that is looking at updating the blood regulations. As
6 you probably know, there are over 60 guidances that we
7 currently consider to be in force. Many of these deal with
8 issues that belong in regulation, and one of the challenges
9 for us is the fact that the cycle of instituting even a
10 relatively non-controversial regulation is typically about
11 two years. So, there are issues that, to remain current,
12 need to be in guidance. On the other hand, there are things
13 in guidances that clearly can be in regulations, and there
14 are regulations which are out of date. There are also areas
15 where we can consolidate guidance and regulations and
16 simplify and streamline the advice.

17 So, this team was asked to really review, revise
18 and rewrite the blood regulations from start to finish. We
19 began by creating a database listing all of the regulations,
20 guidance and other instructions for industry, and
21 identifying the ones that we knew didn't exist and hadn't
22 been written yet but were needed. We prioritized that list
23 and we have put together over a dozen teams that have begun
24 drafting regulations and in some cases it will probably also
25 mean redrafting regulations.

1 Our options when we write regulations are three-
2 fold. If we are simply doing technical corrections in areas
3 that aren't controversial, or just correcting facts or doing
4 things which we think are well accepted, we have a mechanism
5 called a direct final rule. We can propose a regulation and
6 say here it is; there is a comment period. At the end of
7 the comment period, if there is not substantial objection to
8 the rule, the rule will be in place as announced. If during
9 that comment period there are substantial objections then,
10 in fact, we will revise the rule and reissue it.

11 The second type of rule that we can issue is a
12 proposed rule. That has also a comment period. Typically
13 the comment periods are three or four months. Then the rule
14 is revised. The final rule will explain and describe the
15 comments that were made and how the revision addressed the
16 comments, and then you will have a final rule.

17 Then the third mechanism where the process is most
18 controversial of all is for us to have advance notice of
19 proposed rule-making. There is quite a bit of flexibility
20 in how these notices look. Sometimes they are published
21 actually as a proposed rule. Other times they are actually
22 published more like an essay, discussing different options,
23 discussing the pros and cons of going in different
24 directions, and asking for comments. That is often the area
25 where it is not clear how to even start with the proposed

1 rule.

2 I think if you look at how these teams will bring
3 forward the new regulations out of the old guidances, out of
4 the ongoing issues that arise, you will see all of these
5 mechanisms being used, and it is a time that you should be
6 very active in communicating with us your comments on how to
7 do these things.

8 Our time frame is that we have intended by the
9 fall of this year -- in fact, one of our stretch goals was
10 to even have some of these regulations completed this
11 summer. What I mean by completed is that they will be out
12 of FDA. There is an additional review process that we don't
13 control before they are published. And, we will begin the
14 comment process and, you will see as we issue these first
15 sets of regulations, some of the areas that we prioritized.

16 What we think will be the outcome when this group,
17 which will be a multi-year process, finishes its task is
18 that we will reduce the number of exemptions that are needed
19 for outdated regulations. We will be able to reduce the
20 guidance documents that lack the enforceability to
21 regulations. That doesn't mean that there still won't be
22 guidances because there is a very important role for
23 guidance, and the clarity from having more modern and
24 concise regulations will improve industry compliance.

25 The second team that we have put together is a

1 team that was asked to look at how we could reinvent blood
2 regulations, not just to rewrite the regulations but are
3 there ways that we can do things differently. One of the
4 tasks that this group was asked to do was to continue the
5 implementation of an initiative that the Center for
6 Biologics proposed under the REGO, the reinventing
7 government initiatives that Vice President Gore launched in
8 the first term of the administration.

9 This is a process of simplifying the older system,
10 which had separate establishment licenses and product
11 licenses, into a unified license, and simplify the
12 application process.

13 A second area, and this is an area where we are
14 going to be doing a pilot because we are not sure if this
15 will help or not be helpful, but a second area is to take a
16 look at some of the types of products and some of the
17 manufacturing that is very repetitive where we see the same
18 applications for the same types of products, for example, an
19 irradiated blood product that is coming from multiple
20 different manufacturers.

21 One of the things that has occurred to us is that
22 the Agency very successfully uses monographs and standards.
23 It does this in devices. It does this in over-the-counter
24 drugs. It has done this in antibiotics. The concept here
25 is that if we can identify an agreed standard that is

1 acceptable good manufacturing, good standards for that
2 product that is sort of a consensus way that a given product
3 is manufactured, we can simplify the application process
4 because people can reference the standard and they can say
5 we are making the product in accordance with the standard
6 and, of course, there will be ways that they can verify both
7 in terms of their application and when we inspect that they,
8 in fact, are doing that. Of course, they will have the
9 option to do it in a new way, or a novel way or a slightly
10 different way by describing the processes as they do now.

11 But we are going to do a pilot of two product
12 areas that we selected, and this will be rolled out sometime
13 in the next year, where we will look to see if this really
14 works. We will look for a pilot on one area, in the area of
15 blood products, and in the other, in the area of plasma
16 products. Again, we need to find out if this really does
17 work; if this really does help before we move on and apply
18 this in other areas.

19 The hope is that we will be able to streamline or
20 reduce the number of things that require applications and
21 decrease the number of submissions to FDA, and the degree of
22 standardization will actually, again, improve the
23 compliance.

24 The third team that we formed is a team that was
25 asked to deal with the issue of emerging infectious

1 diseases. This is a team which cuts across the Department
2 to involve the CDC, the NIH, and this is a team that has
3 been asked to take a look at known and potential threats to
4 the blood supply, for example, new HIV variants which we are
5 discussing today; new hepatitis agents; human herpes virus
6 type A; the TSE family of problem agents; parvovirus;
7 bacterial contamination of blood and so forth.

8 The way that we asked this team to organize this
9 work was to develop a catalog, a database of the different
10 potential threats, and together with the NIH and the CDC
11 develop a strategy appropriate to the level of what we know
12 about the level of threat and the technologies that we have
13 available for each potential threat. There have already
14 begun to be quarterly face-to-face meetings, and this group
15 also uses an ongoing mechanism, an internal PHS conference
16 call which occurs every month where many of the discussions
17 involve emerging infectious disease problems. I think this
18 will be an ongoing effort, and I think it will help us
19 coordinate the efforts across the Department.

20 A fourth team was put together to look at the
21 compliance of plasma fractionation establishments. One of
22 the issues that many of the oversight committees dealt with
23 had to do with the historical origins of CBER and how it
24 differed from FDA. CBER, as you know, has just had its 25th
25 anniversary as being part of the FDA. Prior to that time

1 the Division of Biologic Standards -- I am probably not
2 getting the name right -- was part of the NIAID at the NIH.
3 As a body which was not part of the FDA, it did not use the
4 FDA field resources for inspections. All the inspections
5 were done by CBER staff and, as you might expect, with both
6 agencies having a half century of inspection history
7 different inspectional cultures emerged. The tradition of
8 the field, ORA, the Office of Regulatory Affairs -- the
9 tradition of the field has been to strongly emphasize a
10 common theme to manufacturing of all FDA products, which is
11 good manufacturing practices. The CBER tradition, on the
12 other hand, was much more product specific and emphasized
13 the expertise of the reviewer.

14 So, a new concept of how to blend the two
15 regulatory traditions was put in place and called team
16 biologics. This has already been implemented for blood
17 products. It will actually be implemented for all CBER
18 products. It takes advantage of both of these cultures.
19 Instead of having any of the 3500 field staff be available
20 for a blood inspection we have, in fact, identified a
21 specifically trained cadre of teams that will specialize in
22 specific products. There is a team that has been trained to
23 inspect plasma fractionation establishments. There is a
24 team of about 120 who will do blood and plasma collection
25 facilities. There is a team that will do in vitro

1 diagnostics, and this will be expanded to vaccines and other
2 biological products as time goes on.

3 These teams have members that come both from CBER
4 and from the field. They are conceived of as national teams
5 even though many of the team members are based in the
6 districts. We actually think this is a paradigm that will
7 work not only for biological products but also for other
8 types of products in FDA, particularly products that are
9 high risk and vulnerable to manufacturing problems.

10 So, at the time this action plan was written, this
11 team was asked to complete the team biologic plan itself.
12 That has been done. To implement the team blood part and
13 that has been done, and to begin with the training. We now
14 have the inspection of plasma fractionators all being done
15 by this specialized group.

16 The fifth team is a team that looked at the issue
17 of notification and lookback. One of the real issues that
18 has developed around blood products is the consumer's right
19 to know, both the donor and the recipient. I think these
20 are issues that are well known to this Committee and to this
21 audience. But this team has been the team that has worked
22 to develop the new guidance that was needed for the
23 hepatitis C lookback. We are working at translating that
24 guidance into regulations. And, they are working with the
25 issue of how to do direct notification to consumers about

1 problems with products.

2 We anticipate that some of these issues will arise
3 for other infectious agents, and that this team will be
4 asked to address those agents as well. But what we think
5 will be the outcome of this is that donors who are
6 permanently deferred for infectious reasons will be notified
7 of that, and that recipients who have received potentially
8 infectious material will also be notified.

9 The final team, the sixth team is a team that has
10 been asked to internally review and train and update the way
11 that FDA responds to Class I recalls, the recalls for
12 imminent hazard, and for other types of blood emergencies.
13 The things that we proposed that we do, most of those have
14 been done and put in place. We have finalized an emergency
15 procedure. We have trained FDA staff. We have had staff-
16 wide training. There is also an oversight body, an internal
17 oversight body that reviews responses to emergencies, and
18 this has all been put in place.

19 One of the challenges for us is that the signal
20 that there is a problem out there can come to us in any
21 number of different number of sources, including reports to
22 other parts of the FDA that are not directly parts of CBER.
23 We have tried to find and identify these to make sure that
24 serious problems are recognized and promptly dealt with.

25 So in summary, I think one of the things I hope

1 you will appreciate is that this is an ambitious effort.
2 This is also something that is being done largely by the
3 personnel and staff that were already here, people who are
4 already busy with many other things. But we think that this
5 will have a large payoff and result in addressing many of
6 the concerns that have been raised about the way that blood
7 products are regulated, and will continue to assure that we
8 have a safe and available blood supply.

9 Let me stop and ask if there are any questions.

10 DR. HOLLINGER: Any questions from the Committee
11 regarding these issues? Clarifications or anything? Yes?

12 MR. DUBIN: First, congratulations. This is a
13 good process. We are, of course, glad to see this. I
14 gather the workshops scheduled for the 16th November will be
15 a chance to dialogue more on that.

16 DR. FEIGAL: Which workshop are you referring to?

17 MR. DUBIN: The proposed workshops for 1998.

18 DR. FEIGAL: Sure, that is part of the process.

19 MR. DUBIN: And, in an ongoing way, are you taking
20 comments from organizations such as ours?

21 DR. FEIGAL: Yes, absolutely. We have spoken in
22 the past year about some of these processes but we haven't
23 really explicitly laid them out as a plan because we asked
24 the Department to accept it as a Department plan before we
25 starting saying we had this Department-wide plan. Now that

1 they have accepted it, it is something that is a dynamic
2 process. It needs to be modified as we go. Some of our
3 ideas undoubtedly will be over-ambitious; some of them won't
4 even be good ideas and new things will arise that will need
5 to be taken into account to change the process.

6 The one thing that I think the team as a whole is
7 quite proud of is that it has set a series of specific time
8 frames for delivering this. It has been a very busy year;
9 they have met all of those time frames. We were smart
10 enough not to be very specific about the second year. We
11 had a good idea of what we could accomplish working hard the
12 first year, and part of the input that would be helpful, as
13 we get more specific and set our goals for the second year,
14 is that we have some input on where our priorities and focus
15 should be.

16 MR. DUBIN: Yes, we don't seem ever to be tired of
17 input but, again, the process sounds like a good and a
18 positive one, and the breakdown into teams seems like a very
19 good approach. It sounds very good. Thank you.

20 DR. HOLLINGER: Thank you, Dr. Feigal. The next
21 topic is on the IGIV supply issues, which we have heard a
22 great deal about and there is a lot of concern among the
23 community. So, we are going to initiate this by Dr.
24 Golding, who will provide us with some information.

25

IGIV Supply Issues

1 DR. GOLDING: Before I start, I would just like to
2 make two comments. The first one, I was diagnosed as having
3 acute labyrinthitis yesterday so if I get disoriented, at
4 least I have an excuse.

5 [Laughter]

6 The other is that a lot of people at the FDA,
7 including myself, have been working on this IGIV shortage
8 issue but the actual presentation was put together by Mark
9 Weinstein who would have been here today but was called away
10 to represent the FDA in Europe.

11 [Slide]

12 So, what we are going to be talking about is an
13 update on the IGIV shortage. This is a summary of the
14 presentation: The evidence of the shortage; the causes of
15 the shortage; the FDA actions to alleviate the shortage; the
16 current situation; and considerations for the future.

17 [Slide]

18 The FDA doesn't routinely monitor the supply of
19 blood products, and the evidence of the shortage had to come
20 through other means. What happened is that during 1997
21 there were sporadic calls to the FDA complaining about
22 shortages of various products including IGIV. So, there
23 were complaints about some of the coagulation products,
24 albumin and IGIV. But towards the end of the year, around
25 about November, these reports became very numerous and

1 persistent, to the point that we were receiving 30-40 calls
2 per day, and these calls were coming not only from frantic
3 physicians but they were also coming from directors of major
4 medical centers across the United States.

5 When we contacted manufacturers, we found out that
6 their inventories were really low which supported that there
7 wasn't any material available, and an indirect line of
8 evidence was that the price of IGIV had gone up
9 considerably, and had doubled, and in fact there were even
10 reports of the price having tripled in various parts of the
11 country.

12 First I am going to go into some of the reasons
13 for the shortage. One possible reason was the increase in
14 demand. We now have some data from a group called the
15 Market Research Bureau, who have been surveying blood
16 product supply, and they have documented that there has been
17 a 10% increase in IGIV demand over the past 5 years. So,
18 part of the problem is that there has been an increase in
19 demand. As you know, there are certain FDA approved
20 indications for use of IGIV, but there is also a multitude
21 of off-label uses for this product. This product is used
22 for neuromuscular conditions, for autoimmune diseases and
23 for a whole variety of conditions, some of which have been
24 shown by careful studies that these conditions should be
25 approved, used as standard of medical care in major medical

1 centers, but other conditions have not been shown by
2 adequate trials to be efficacious and it is based on
3 anecdotal reports or a few case reports, and some of these
4 diseases are very rare. But the outcome is that much of the
5 use of the IGIV today is for off-label use. We don't have
6 hard and fast numbers for this but speaking to medical
7 directors at major medical centers, their impression is that
8 50% to 70% of the use is for off-label use. I would like to
9 reiterate that some of this is well justified but this
10 really needs to be looked into if we are going to solve this
11 problem of the shortage.

12 Another issue is compliance. A moment ago you
13 heard Dr. Feigal talking about compliance and inspection
14 issues and the fact that CBER has been looking much more
15 carefully at these fractionators partly because there have
16 been major compliance violations, and most of you are
17 probably aware of the albumin incidence thing in which two
18 patients nearly died because they received albumin that was
19 contaminated.

20 But as a result of our heightened inspection and
21 attention to compliance issues, this has probably resulted
22 in decreased production. So, manufacturers attempting to
23 come into compliance have needed to take steps which have,
24 to some extent, slowed down production. We have made a
25 concerted effort to work with manufacturers to try and help

1 them to facilitate their coming into compliance without this
2 having a major impact on the shortage. But, obviously, this
3 is a balancing act and it has to be done carefully.

4 The other issue are CJD issues. It is very clear
5 that over the last three years there have been withdrawals,
6 and these are voluntary withdrawals by manufacturers who
7 discovered that one of the donors was either at high risk or
8 developed CJD. As a result, they have had to withdraw
9 material from the market.

10 Now, the effect on the IGIV shortage is a little
11 more complex because in most cases, because of the shortage,
12 by the time the withdrawal occurs most of the product is
13 actually consumed. So, that doesn't have much of an effect.
14 There was one exception with one manufacturer in particular
15 where a large number of lots were affected before they were
16 distributed but, for the most part, the withdrawals occur
17 after the product has been consumed.

18 But there is another aspect of this, and the other
19 aspect is that when the withdrawals are made, the pools that
20 were used to make those lots were also used to make
21 intermediates that hadn't been further processed and are in
22 storage at the manufacturers. As a consequence of the
23 withdrawal, those intermediates are not processed further.
24 So, it is clear that some of the CJD withdrawals have
25 resulted in contributing to the shortage situation.

1 [Slide]

2 This is a graph pointing out the IGIV distribution
3 in the United States in kilograms. We have this data
4 because in 1994 regulation was enacted which asked
5 manufacturers to provide their distribution data in the
6 United States. So, if you look at this graph and you look
7 at the amount of material distributed over the years, you
8 see that between 1995 and 1996 there was an increase in
9 supply but in 1997 there was actually a decrease in supply.
10 What I told you earlier was that because of a 10% increase
11 in demand, in order to keep up you do have to have an
12 increase. So, in order to prevent a decrease we would have
13 predicted that this had to be the level of supply to avoid a
14 shortage. In fact, there was a shortfall, and this
15 shortfall is explained on the next slide.

16 [Slide]

17 So, that amounted to a 20% shortfall. If you now
18 look at the IGIV distribution in the United States according
19 to year and different manufacturers, you see that some of
20 the manufacturers had maintained the supply. For some of
21 the manufacturers there was a decrease, and in some cases
22 this was precipitous. Clearly, these factors led to the
23 shortage and the factors, again, were partly CJD withdrawals
24 in the case of some manufacturers, and in the case of other
25 manufacturers due to compliance issues.

1 [Slide]

2 So, in fact, if we look at this pie diagram and
3 consider the 20% shortfall for 1997, a large percentage of
4 it, perhaps 60%, was due to compliance issues related to
5 good manufacturing practices.

6 Another high percentage was probably due to CJD
7 withdrawals, and there are other issues which are hard for
8 us to quantitate. For example, how much of the manufactured
9 material is exported. We have no way of monitoring or
10 knowing this. And, there are other possibilities such as
11 distribution to various wholesalers who, for whatever
12 reason, are keeping the product.

13 [Slide]

14 Another factor that may have played a role is that
15 it was noted that in 1997 the amount of material placed in a
16 vial was increased on average. So, if we actually look at
17 the distribution in the United States, especially if instead
18 of in kilograms we now express it in terms of vials, we
19 found that between 1996 and 1997 there is not a drop of 10%
20 but there is actually a drop of 20%. This is accounted for
21 by the fact that more material was placed per vial. So,
22 there was about a 10% increase in material added to the
23 vial. We are not sure why this was done. Was this required
24 by consumers? Was this a marketing ploy? But this is
25 something that could be looked into because it may result in

1 a less efficient usage of the product if you put in more per
2 vial and it is a single-use product.

3 [Slide]

4 So, what has the FDA done to try to alleviate the
5 shortage? So, getting back to late November, December,
6 1997, what did we do when we found out that there was a
7 shortage? Well, we were impressed by the number of calls
8 and by the situation and our group contacted the Office
9 Director, Dr. Epstein, and arranged a meeting with the upper
10 management at the FDA. What was decided was that they would
11 actually call the CEOs of the various companies to convey
12 the FDA concerns to try to learn about the reason for the
13 shortage, and to try to think of mechanisms to alleviate the
14 shortage. One that was discussed was the potential of using
15 European approved product for emergency use in the U.S.
16 under IND and, importantly, to establish hotline numbers, 1-
17 800 numbers that would allow emergency use of IGIV.

18 [Slide]

19 In addition, FDA worked with manufacturers to try
20 and facilitate increased production and distribution without
21 compromising the safety and efficacy of the products. This
22 comes back to the balancing act that I mentioned earlier to
23 make sure that companies were coming into compliance but
24 still trying to make sure that this did not impact on the
25 shortage situation.

1 From the point of view of our lot-release program,
2 we expedited lot release and reduced the time spent on the
3 lot release from two to three weeks to a few days, and
4 worked long hours to expedite review of license supplements
5 which are related to IGIV products and which would allow
6 increased production and distribution of IGIV.

7 In addition, a "dear doctor" letter was written to
8 provide guidance for prioritizing the use of IGIV. This
9 letter also included the 1-800 emergency numbers that could
10 be used by physicians or medical centers for IGIV in an
11 emergency situation.

12 [Slide]

13 The FDA has also instituted methods to try and
14 increase the monitoring of the supply. One of the actions
15 that was made that released quite a large amount of IGIV
16 that was placed on hold was to release some IGIV that had
17 been made with albumin which was potentially contaminated
18 with CJD from an at risk donor, and this was set aside for
19 emergency use only and with appropriate labeling.

20 [Slide]

21 Well, what is the current situation? Well, there
22 has been a dramatic decrease in the number of complaints
23 regarding the shortage, and we now have 5 to 6 phone calls a
24 week compared to 30 to 40 per day. But there is no question
25 in our minds and in the minds of the manufacturers and the

1 people that we talk to who are looking after patients that
2 the shortage does continue. Many of the underling causes
3 have not been resolved, and one of the issues that remains
4 is the compliance issue. Another issue that I referred to
5 earlier is that the increase in demand for off-label use
6 remains an issue. There are 1-800 numbers in place for
7 emergency purchase but in some cases product is available
8 only for consumers who enter into contractual obligations
9 with a particular manufacturer. We have actually tested
10 these numbers and spoken to physicians, and it is clear that
11 a physician may have to spend six hours to obtain IGIV for
12 emergency use by going from one company to another until he
13 finds a place where they have some IGIV which they can
14 supply for a particular patient.

15 [Slide]

16 So, what are the future directions? FDA is
17 considering updating the "dear doctor" letter to include new
18 hotline telephone numbers, and we are working on an idea of
19 establishing central distribution points for emergency IGIV.
20 We are also trying to increase the monitoring of product
21 distribution to trend the data; to modify current CJD
22 recommendations, particularly by encouraging labeling of
23 products according to CJD risk.

24 [Slide]

25 The FDA continues to meet with plasma

1 fractionators on an ongoing basis to investigate ways to
2 further improve product availability. This relates in the
3 main to compliance issues. And, also to facilitate the
4 development of IGIV from new sources. So, this involves
5 talking with established manufacturers who already have
6 licensed product to try to work out ways to increase
7 production, but it also involves talking with manufacturers
8 that have IGIV product in other countries and trying to
9 determine if there are ways of having their products
10 licensed for use in this country.

11 The shortage problem will be reduced most
12 substantially as manufacturers come into compliance with
13 GMPs and production is increased. Thank you.

14 DR. HOLLINGER: Thank you. Any questions of Dr.
15 Golding? Yes, Dr. Verter?

16 DR. VERTER: I just have one question. On one of
17 the slides it looked like you are about 4000 kilograms short
18 from the projection and about 50% to 60% of that shortage
19 seemed to occur from one manufacturer. Can you identify
20 what the issue is with that manufacturer, and has it been
21 corrected?

22 DR. GOLDING: Well, you know, I don't know in a
23 public hearing to what extent I want to talk about what
24 happened with that particular manufacturer and identify the
25 issue, but in general terms, those were serious compliance

1 issues which were identified, to the extent that the
2 manufacturer found it necessary to shut down production for
3 several months in order to come into compliance. When we
4 consider the types of changes that needed to be made, such
5 as changing equipment, processes, and on, and on, and on, we
6 can understand why this was a major problem for the company.
7 The actual request by the FDA was not to shut down the
8 company but that they come into compliance. We worked with
9 the company, and are still working with the company, to try
10 and ensure that they return to full production as soon as
11 possible. In fact, several months ago they did come back on
12 line and the increase in production that we have observed
13 over the last few months -- what I didn't mention and this
14 gives me the opportunity to say that since November there
15 has been an increase in supply in terms of the amount that
16 is released by the FDA. There has been a 40% increase per
17 month, and that is mainly because of certain manufacturers
18 coming into compliance and being able to return to full
19 production.

20 DR. ELLISON: You started your presentation by
21 saying that you do not monitor the supply routinely, and
22 this is an example of responding to a shortage that occurs.
23 Has any consideration been given to try and monitor and act
24 in advance when you see something like this?

25 DR. GOLDING: Well, you know, I think that is a

1 very important issue. Before this occurred, what we had in
2 place was six-monthly reporting to us of distribution of
3 product within the U.S. So, we were finding out after the
4 event and not in real time what was happening with the
5 supply. To try and actually monitor the supply more
6 carefully -- we have some ideas about how we can do this,
7 but this is also a tricky situation. In other words, you
8 know, how do you find out exactly how much material is being
9 distributed by a particular company? It often goes out to
10 distributors who then can hold on to the material or can
11 release the material. The whole setup of the FDA and the
12 control of this industry at the moment does not have in
13 place, as far as I am aware, a proper monitoring system for
14 this material or for any drug and it has largely been left
15 up to the industry at large to produce enough material to
16 satisfy the consumers. You know, there are certain steps
17 that we can take, but it may require more than just the
18 FDA's action -- you know, legal action, congressional action
19 -- in order to get a very rigid monitoring and control of
20 the supply, if that is what we want. But that is the major
21 problem that we have been thinking about.

22 MR. DUBIN: I think a couple of things, and this
23 was a very hot discussion at the HHS Council on Safety and
24 Availability, it has obviously been our contention that FDA
25 already contains within the Food, Drug and Cosmetic Act the

1 steps toward authority to monitor supply. If more is
2 needed, certainly I think some efforts can be made to do
3 that. We have had this problem in terms of factor
4 concentrates over the years, not getting the data and then
5 being asked to make decisions. I think for the first time
6 industry, to their credit, came forward at the Council
7 meeting with some preliminary data, and the request was made
8 that that be done by company on an individual basis monthly
9 or bimonthly, whatever it takes. This is clearly something
10 we need to make intelligent regulatory decisions, be they
11 about CJD or immunoglobulin or factor concentrates, for that
12 matter.

13 I think we saw the criticality of it this time in
14 the shortage with primary immune patients. We have seen it
15 in the past in shortages with hemophilia patients, and from
16 our perspective, certainly, we would like not to see it
17 again. It is pretty clear that with some solid monitoring
18 we can get some clarity.

19 I think another thing we learned is that while CJD
20 is a serious issue, it was not the primary force driving
21 this shortage, which we had been told it was. So, I think
22 we have a window into some of the things we need to do.
23 Obviously, FDA has made an attempt to do them. And, I think
24 we should ascertain what it takes.

25 The Council made some recommendations. One of

1 them was for regular data to be turned over by independent
2 third-party, or to be monitored by that third party, however
3 all of everybody's interests get protected but that we get
4 that done so we don't face these situations where patients
5 who need product are not getting it.

6 The last comment I made is, and I don't know how
7 to put it other than that we were outraged at what happened
8 with the 800 number. There is enough markup in this
9 industry; there is enough profit being made, and we have
10 never debated people's right to make a good profit on their
11 investment but when we hear things like patients in critical
12 need calling up and having to be, you know, slit into a
13 contract to get service, that is just downright gauging. It
14 is outrageous. From our perspective as a consumer group, we
15 will do everything in our power to see that not happen
16 again. We think most of the responsible home-care companies
17 do not behave in that way. We don't want to make this an
18 indictment -- or the manufacturers, for that matter, but
19 that is something we all need to band together to ensure it
20 does not happen.

21 DR. KOERPER: I think that the need for FDA to
22 know what the supply is and where the supply is going is in
23 a very timely fashion, as underscored by one of your points,
24 which was that in an attempt to alleviate this it was
25 considered to import IGIV from Europe. Yet, at the same

1 time, we heard that American-produced IGIV was going to
2 Europe. So, it seems that we need a better way of knowing
3 where the product is going and not reach this situation
4 where American product is going to Europe creating an
5 emergency whereby we need to bring product from Europe to
6 the United States.

7 DR. GOLDING: Well, just a quick comment on that,
8 my understanding when we tried to get information is that
9 there was no regular or organized way in which we got
10 information regarding exports, and it was just based on
11 speaking to manufacturers and asking them and, in good
12 faith, they would tell us, you know, how much they exported.
13 The impression we got from most of the manufacturers is that
14 it varies from zero to 25%. That is, it could be as high as
15 25% or as low as zero. But we do not have a good way of
16 monitoring this. You know, I think your point and the
17 previous speaker's -- you know, we should have better ways
18 for monitoring this and I think we would need to enact
19 certain regulations in order to do this.

20 DR. MARTONE: If the use of this product is
21 anything like the use of antibiotics, while we surely don't
22 want to stifle off-label use some of the use is probably
23 clearly inappropriate. For example, with vancomycin,
24 because of the problems with vancomycin-resistant
25 enterococci, when surveys were done in institutions up to

1 60% of vancomycin use in hospitals was totally
2 inappropriate. Hospital epidemiologists and others
3 instituting programs of education and control are generally
4 successful in limiting this antibiotic use up to 30% and
5 40%, with 30% and 40% reductions.

6 I am glad to see that in part of your "dear
7 doctor" letter you listed some priorities for IGIV use, but
8 I would encourage you to follow up on this and do some
9 epidemiology on the use patterns because I am sure you are
10 going to find some that is clearly inappropriate.

11 DR. GOLDING: Well, you know, we think that is a
12 major concern but we are not quite sure what we, as the FDA,
13 can do. In other words, we can't dictate to physicians how
14 to use the product. I think what we can do besides a "dear
15 doctor" letter is to communicate to groups that have access
16 to large numbers of physicians and try to get them to make
17 policy statements regarding this. We have had contact with
18 various medical directors at large hospitals and several of
19 these hospitals have instituted prioritization schemes in
20 the hospital, either before they spoke to us or after we had
21 some discussions. So, we have gone to that level to do it.
22 But I agree with you that this is something that we should
23 try and find more ways to pursue because I think this is a
24 major contributing factor to the shortage.

25 DR. HOLLINGER: Dr. Golding, you said that the FDA

1 lot-release process was shortened to about two to three
2 days. How did you do this without compromising the
3 integrity of the evaluations?

4 DR. GOLDING: Well, the usual process involves the
5 company sending, either electronically or manually, a
6 protocol which describes their final container testing. Our
7 group in the Division of Hematology and another group looks
8 at these protocols and determines whether any additional
9 testing has to be done at the FDA. Now, for the most part,
10 these are manufacturers that have been manufacturing these
11 products for a long period of time and they have a track
12 record. So, it is relatively rare that we will get a sample
13 and say, well, we now have to go back and really test it.
14 So, what we are really doing is checking their paper record
15 to make sure that their final container testing was within
16 specification so that we can then release the product.
17 Several of the companies, by the way, are not under
18 surveillance. In other words, they do not have to wait for
19 FDA release. They still submit their final container
20 testing results to us but, because they have had a track
21 record over a long period of time, they can release the
22 product without FDA release. But companies, especially
23 companies which have had compliance problems, have been
24 placed back on this release protocol.

25 So, you know, I don't think that in any way we are

1 taking chances with the product. I think the review is as
2 complete as it was before, but we are just making sure that
3 it gets to the various people that need to review it in a
4 much more expedited fashion, and using electronic means to a
5 large extent to facilitate quick review.

6 DR. HOLLINGER: And along those same lines, if you
7 are going to use European produced product, if you are
8 considering that, again, how would the lot release process
9 go and how would the evaluations go for those kind of
10 products?

11 DR. GOLDING: Well, for any new product, I mean we
12 have a system in place that would apply to a European
13 product, and we have certain regulations that would have to
14 be applied. For example, the plasma has to come from U.S.
15 approved centers. The product that is made has to -- they
16 have to submit a license application which has to contain
17 all the usual information. What we are proposing for some
18 of these situations is an expedited review of that and
19 working with the companies to make sure that they have all
20 the material. Some of them haven't submitted PLAs to the
21 U.S. FDA. We work up the IND studies so that they have all
22 the information so that when they submit it, it can undergo
23 an expedited review. But we are not going to cut any
24 corners in terms of allowing them to market this product
25 unless we are convinced that it is safety and efficacy, and

1 won't allow any approvals which would be different to the
2 approvals that we gave to the U.S. manufacturers.

3 Several of these situations, by the way, are
4 American manufacturers or manufacturers that already have
5 product in the U.S. and have IGIV in the U.S., but also have
6 a sister product in Europe and we are considering whether we
7 can have that also licensed in this country. So, they have
8 done parallel studies which are not very different from
9 those that are required for U.S. licenses.

10 DR. HOLLINGER: Thank you. Yes?

11 DR. MITCHELL: I am very concerned about CJD and
12 the compromises that apparently are being made in the
13 balances. I understand the need for balance, but I think
14 there needs to be more information about the risk of CJD,
15 and then also that needs to be communicated to physicians,
16 particularly when we are talking about off-label uses versus
17 CJD risk. I think it is very important to portray that and,
18 you know, maybe reserve that for off-label uses, if people
19 feel they need to use IGIV for off-label uses.

20 DR. HOLLINGER: Thanks, Dr. Mitchell. Yes, Dr.
21 Stroncek?

22 DR. STRONCEK: Does Europe have a shortage of this
23 product, and are there any marked differences in price
24 between Europe and the U.S. that might explain some of this?
25 Also, I would just like to comment, I understand that people

1 that need the product need to get something so there is a
2 reason to release some product that may not have been quite
3 up to standard concerning CJD, but I am involved in a
4 transfusion service and it puts everybody in a very
5 difficult position to be giving product that does not meet
6 all the standards.

7 DR. GOLDING: Well, maybe somebody else can answer
8 the question regarding the price of the product in Europe.
9 I don't know how much it costs in Europe.

10 But regarding the CJD issue, the point that I
11 would like to make is that the only IGIV that was released
12 for use was released for emergency use only. It had to have
13 separate labeling. And, the decision to release the product
14 was made after a large number of discussions within the FDA
15 and also included the TSE advisory committee whose
16 recommendation was that we could use IGIV and other blood
17 products that had albumin or other excipients which came
18 from individuals who were at risk or had CJD. So, there
19 were a lot of qualifiers on the actual release of that
20 product.

21 DR. HOLLINGER: Yes, could you state your name and
22 your organization?

23 MR. BABLAK: My name is Jason Bablak, and I am
24 with International Plasma Products Industry Association. I
25 just wanted to briefly respond to Mr. Dubin's comment. As

1 he correctly stated, at the April HHS Advisory Committee on
2 Blood Safety and Availability, our association came forward
3 with some data on the IGIV supply. At that point, we
4 committed to providing that on an ongoing quarterly basis.
5 We are currently looking at ways to expand that data
6 production to include other plasma-based products as well.
7 I think it was important to bring that forward in response
8 to that comment.

9 DR. HOLLINGER: Dr. Boyle actually has asked, not
10 as a Committee member but as a representative of the Immune
11 Deficiency Foundation, to make a brief statement of response
12 to the IGIV shortage, and particularly to a patient and
13 physician survey that they have made. John, you can do it
14 there or you can come up here.

15 DR. BOYLE: One of the points that Dr. Golding
16 made and that was made in the prior meeting was that it is
17 hard for the FDA to have some information, other than
18 complaints, about the extent and magnitude nature of this
19 particular shortage.

20 Since I was one at the previous meeting that was
21 demanding harder information so we can made some decisions,
22 the Immune Deficiency Foundation went out and conducted two
23 surveys, one of physicians and one of patients, to try and
24 get some handle on, if not the causes, at least the current
25 nature, extent and consequences of the shortage.

1 Since I didn't expect to be presenting today I
2 don't have slides but I will walk the Committee members
3 through their handouts. This was presented at the HHS
4 committee about six weeks ago, at the end of April.

5 First the physician survey -- the Immune
6 Deficiency Foundation has identified approximately 1500
7 physicians who treatment about 24,000 immune deficient
8 patients. So, it makes a good sampling frame to be able to
9 identify what types of problems, if any, physicians are
10 encountering. Now, of those 1500 physicians, about 221
11 treatment about 15,000 patients, more than half of those
12 that we have identified. We took those 221 physicians with
13 certainty and then did about 1/50 random sample for the
14 remainder of the physicians, sent them a one-page form and
15 asked them to fax it back to us to be able to know the
16 nature of this thing.

17 First we identified how many in fact treated
18 patients with IGIV, then of that group, we asked them a
19 series of questions. What we were able to identify is that
20 in every state that we have a physician treating in the
21 sample we have one or more physicians reporting shortages.
22 Overall, 87% of the physicians that we surveyed said that
23 during the past six months they had had difficulty in
24 obtaining intravenous gamma globulin for their patients with
25 primary immune deficient diseases. That is 87%.

1 We asked them, as a result of shortages in IGIV
2 supply during the past six months, which of the following,
3 if any, has happened to you? Of those 197 physicians
4 treating patients with IGIV, 64% had to contact new
5 suppliers to get it; 42% had to contact manufacturers
6 directly; 75% had to change their usual IGIV product; 49%
7 did not receive IGIV orders from their usual sources; 48%
8 received less IGIV than ordered; 49% made special
9 arrangements for access to IGIV; only 2% said none of these;
10 and 13% were blank, who were the ones who already said they
11 didn't have a problem.

12 As a result of shortages in IGIV supply during the
13 past six months, which of the following, if any, has
14 happened to your patients? And, 68% of the physicians
15 treating IGIV patients said they had to postponed scheduled
16 infusions; 71% switched to different IGIV brands; 51%
17 switched to less preferred IGIV brands; 55% changed the
18 interval between infusions, increased it; 38% reduced the
19 dosage at infusion; 17% were unable to obtain product for
20 indigent patients; 18% substituted alternative therapy for
21 IGIV; if I put the "blanks" and "non" together only about
22 16%, 17% of physicians said none of these things happened.

23 This is the end of April. You know, we heard
24 about the November, December, January shortages from the
25 complaints but how bad is it in April? In April, we asked

1 how much difficulty are you experiencing now in obtaining
2 normal supplies of IGIV product? And, 40% said a lot of
3 difficulty; 42% said some difficulty; less than 1/5 said no
4 real difficulty.

5 We didn't anticipate this but we did ask the
6 question, to date, has the shortage of IGIV supply had a
7 negative effect on the health of any of your patients? And,
8 45% of all physicians who are treating patients with IGIV
9 say that yes, it has had an adverse effect on the health of
10 their patients.

11 At the same time, we were in the middle of a
12 patient survey so we included a similar form in the patient
13 survey. At the time that we did this we had 158 patient
14 responses. The sample, because it is early, is not going to
15 be as good as the physician survey but of the 158, 25 don't
16 use IGIV, 133 do. Of the 133 IGIV users, 80% reported
17 problems in obtaining IGIV. Of the 107 who reported
18 problems, 56% reported adverse health effects as a result of
19 the shortage. Of those 60 patients, 31 reported more
20 infections; 9 reported adverse reactions to the new brand of
21 drug; 6 reported specifically pneumonia, bronchitis, lung
22 infections. A small number, 7, said their effect was stress
23 and anxiety and 7 didn't tell us exactly what the health
24 effects were.

25 But one of the striking findings is from the

1 physicians, over 40% of whom say that their patients are
2 already having adverse health effects, and of the patients,
3 over 40% are reporting that they are having health effects
4 as a result of the IGIV shortage.

5 We would conclude that the shortage is widespread,
6 affecting every state in the country. It is affecting the
7 vast majority of physicians that treat IGIV patients. That
8 continues, and it does already impact upon the health of
9 patients with IGIV usage. If you think about immune
10 deficient patients and if there are, as we estimate, about
11 50,000 in the United States and probably about 35,000 are
12 using IGIV, and you take any number, even if it isn't 40%
13 but even if it is 20%, and project the health effects on
14 that you have a major public health problem. Thank you very
15 much.

16 DR. HOLLINGER: Thank you, Dr. Boyle. Any
17 questions? Yes, Dr. Verter?

18 DR. VERTER: I just wonder if you could clarify a
19 few things, Dr. Boyle --

20 DR. BOYLE: Sure.

21 DR. VERTER: I have absolutely no doubt that there
22 is a problem, so let me start out by saying that. I wonder
23 if you could tell us a little bit about the survey
24 technique. Roughly, I can see that about 13% of the
25 physicians and about 3.5% of the patients were sent in

1 surveys and about 20% of the patients responded, which is
2 not a great response to date --

3 DR. BOYLE: It is still early --

4 DR. VERTER: I understand. So, I am wondering if
5 you could tell us how the patients and physicians who were
6 sent the survey were selected, and what effect you think the
7 estimates, especially the patient survey if and when you get
8 a better response, will be.

9 DR. BOYLE: The physician survey was a fax survey
10 but if we didn't have a fax number we mailed it. It was
11 done over a three-week period. Among the physicians with
12 25-plus patients we had a response rate, a completion rate,
13 of about 70% despite the fact that we only had 2-3 weeks.
14 Among those with fewer, we only had 2 mailings and we had a
15 response rate of about 45%. So, overall, we got 50-
16 something percent, which for a physician survey is
17 tremendous. What I have not included here because more came
18 in, we actually have updates on that.

19 In terms of the patients, we have an ongoing
20 survey of about 3000 patients. What happened is we had an
21 outgoing mailing of 800 which we slipped the form into.
22 That mailing occurred in, I believe, the first week in April
23 and we skimmed these results in the third week of April.
24 So, we took the first 158 that came in. My guess is the
25 first 158 are going to have more problems than the rest of

1 them because it is an incentive to respond. And, we are
2 only presenting this as the only available data we have to
3 date on what is the basis of probability sample. Over time
4 we will have a better measure.

5 DR. HOLLINGER: Just following up on what Dr.
6 Verter said, I think one of the key issues is you have 3000,
7 how were those 3000 selected in the first place? There are
8 15,000, you said, getting product and yet you selected 3000.
9 How are they represented? Are they representing the group
10 as a whole, or what?

11 DR. BOYLE: The patient survey -- basically the
12 sample frame for the 3000 are 3000 immune deficient patients
13 who completed a short form so we have been able to identify
14 them. That group was identified by circulating the forms to
15 doctors that we knew from a previous survey were already
16 following them. The doctors will not disclose names; the
17 doctors will only distribute forms to patients. To date, we
18 have 3000 out of what we estimate, from these physicians, is
19 probably 25,000. So, we have a combination of doctors who
20 don't want to give out forms; patients who only come in
21 irregularly to receive those forms; patients who don't fill
22 out those forms. But basically we have the only sampling
23 frame that I know of that exists that is national. It is
24 3000; it is self-identified. Of the 3000 who have completed
25 the survey and we know their diagnosis is an immune

1 deficient diagnosis, that 3000 then receives a longer form
2 that collects information upon dosage, frequency of infusion
3 and a variety of other things.

4 DR. HOLLINGER: Thanks, Dr. Boyle. We are going
5 to take a break at this point and we will reassemble at
6 eleven o'clock for the discussion of plasma inventory hold.
7 Thank you.

8 [Brief recess]

9 DR. HOLLINGER: The session today is on plasma
10 inventory hold, a quite controversial issue. This is mostly
11 for information. The Committee is not being asked to make
12 any recommendations at this time, but it is a critical issue
13 about what one might do to make the window period safer.
14 So, we are going to have an overview of this problem. Then
15 there are going to be data presentations from the American
16 Blood Resources Association. There will be three
17 presentations. So, Robin?

18 **Plasma Inventory Hold**

19 **Brief Overview**

20 DR. BISWAS: Thank you. At this year's March
21 Blood Products Advisory Committee meeting and also at the
22 September meeting, the International Plasma Products
23 Industry Association, or IPPIA, described voluntary measures
24 for increasing the safety of plasma products. In
25 particular, two IPPIA measures are intended to decrease the

1 number of window period units entering pools, and this was
2 discussed in some detail at the last BPAC.

3 [Slide]

4 One of these two measures is the applicant donor
5 and qualified donor procedure, and the other is the
6 inventory hold procedure. Both measures have been
7 implemented by most U.S. fractionators. I should mention
8 that IPPIA's procedures are for source plasma only. Source
9 plasma is obtained by plasmapheresis from donors who may
10 donate up to 2 times a week and with a minimum of 48 hours
11 between consecutive donations.

12 In the qualified donor procedure an applicant
13 donor is a first-time donor or a previously qualified donor
14 who has not donated within the past six months. So number
15 two, the previously qualified donor, is considered as a
16 first-time donor.

17 These individuals are screened and tested, and a
18 donation is collected if all criteria are fulfilled.
19 Donations from these applicant donors who do not return to
20 the blood establishment are not used to make plasma
21 derivatives. I should have told you that from these
22 applicant donors, when the unit is collected it is held. I
23 will go into that a little bit later.

24 [Slide]

25 A qualified donor, according to IPPIA's

1 procedures, is a donor who must pass two history interviews
2 and have two negative sets of screening tests within a 60-
3 day period and thereafter must have donated at least one
4 time within the prior 6 months, otherwise the donor goes
5 back to number one. Plasma only from these qualified donors
6 is used, so the only collections that are pooled.

7 But in one above, the two negative donations and
8 histories could be as close together as 48 hours.
9 Nevertheless, plasma from an individual who is a one-time
10 donor is not used in this procedure.

11 [Slide]

12 The second procedure, intended to reduce window
13 period units from entering pools, is the inventory hold.
14 Collected source plasma is held in inventory for 60 days.
15 If the donor returns within 60 days and is positive for
16 viral markers for HIV, hepatitis B, hepatitis C, the donor's
17 positive unit and the prior negative units in inventory are
18 not used.

19 However, if the qualified donor does not return
20 after 60 days the units are used. So, a donor who is within
21 the window period of, say, hepatitis C could return several
22 times after the first donation, go through the testing and
23 screening procedures, the units would be collected and those
24 units that are collected would be used if the donor didn't
25 return one day. However, some window period units would be

1 intercepted when donors return.

2 Now, at the previous BPAC meeting in March, the
3 Food and Drug Administration described a possible
4 alternative approach involving a plasma quarantine and
5 release procedure as a theoretical gold standard for
6 inventory hold. In this procedure a collected unit is
7 placed in quarantine. The donor, after donating and
8 fulfilling both suitability criteria, must always return
9 after a minimum period of time based on the window period
10 and meet all suitability criteria again prior to release of
11 quarantined collections. The key here is that the donor
12 must always return.

13 At the March BPAC meeting there was discussion
14 about the scientific and technical issues involved, and the
15 Committee decided to table the questions asked by the FDA.
16 Committee members requested follow-up at this BPAC meeting
17 based on the opinion that additional information was
18 required and because IPPIA stated that industry required
19 more time to gather and analyze the requested additional
20 information.

21 IPPIA will now present this information. The FDA
22 will not request Committee recommendations today as it is
23 not clear whether sufficient scientific data will be
24 available for decision making. It is also thought desirable
25 that the Committee and FDA should have sufficient time to

1 consider the data presented. That is the end of my
2 presentation.

3 DR. HOLLINGER: Thank you, Robin. We are going to
4 go on then to the next data presentations, and Dr. Alan Liss
5 is going to initiate this first round of talks and he will
6 introduce the speakers to follow him.

7 **Data Presentation, American Blood Resources Association**

8 DR. LISS: Good morning. Thank you very much.
9 Again, my name is Alan Liss, and I represent the American
10 Blood Resources Association, ABRA.

11 Before I begin, if I could just comment briefly on
12 one of Robin's remarks, the definition of qualified donor as
13 far as the testing is two tests within six months, not 60
14 days. It doesn't affect the end results, I don't think, but
15 a slight change.

16 [Slide]

17 Well, I want to thank again the Committee for
18 allowing us to present this informational data. There are
19 several points I would like to put down onto the table
20 initially and then have the details given by our two
21 speakers.

22 [Slide]

23 This very important topic is being presented so
24 that we can begin to provide a comprehensive picture of
25 viral marker safety among source plasma donor populations.

1 This is in response to Committee requests for complete data
2 sets, and they include incidence and inventory hold
3 effectiveness calculations. Perhaps more importantly, this
4 is the beginning of a demonstration of the industry's
5 commitment to data collection and dissemination. It is not
6 stopping here.

7 [Slide]

8 Just as a reminder, understand that there is a
9 long process from which we take source plasma to eventually
10 our patients, and through this process there are a number of
11 significant steps that affect safety, and we intend to go
12 through these steps in our ongoing process of data
13 collection. For today, we are talking about these early
14 steps: Viral removal and inactivation, obviously a
15 manufacturing step, should be included in our discussion but
16 won't be discussed in detail in our numerical models.

17 [Slide]

18 Yes, we will be seeing data. We will have
19 numerical models. We will be seeing these numbers which
20 have very critical significance in our discussion. For
21 these, we have asked our statistician to go over the details
22 for you. That is the best person to do it. I am a
23 biologist and I get trapped after too many zeros, but it is
24 very significant for us to understand these.

25 [Slide]

1 We are also going to be discussing the impact of
2 the 30-day quarantine. We feel, as you will see, that
3 currently there is no meaningful safety improvement. We
4 will have a significant loss in donations, which also means
5 an impact on product supply, and there is also going to be
6 other logistic and quality costs, that you will hear in
7 detail about, that we think are critical for us to evaluate
8 the need for such a change.

9 [Slide]

10 Perhaps in my mind, again, one of the most
11 important things is that we are committed to have a future
12 for data collection and analysis. We are going to be
13 continuing science-based analyses based on our industry
14 volunteer initiatives. We are going to be quantifying and
15 analyzing the effect of viral inactivation and elimination
16 steps, and we are going to be moving towards measurable
17 safety improvements supported -- and this is important --
18 supported by real time data.

19 [Slide]

20 So with that background, the details are going to
21 be presented by two very important people in this effort.
22 One is Dr. George Schreiber, who is a consultant,
23 representing Westat. He is going to be presenting the data
24 collection and validation, how we analyze these data, and
25 present a model which generates reasonable numbers.

1 We then will follow up with Dr. Toby Simon who is
2 going to try and put this into context of not simply numbers
3 but what it means to industry in regard to true risk, viral
4 inactivation or partition and impact on quarantine. Again,
5 the safety of our product is of prime importance to us and
6 to our end users.

7 So with that, I will ask Dr. Schreiber to please
8 present some data.

9 [Slide]

10 DR. SCHREIBER: We are really pleased to be here,
11 presenting and helping out ABRA. They came to us with a
12 request to help them develop their data monitoring and to
13 develop some independent estimates of the safety. So, this
14 is what Westat has done on behalf of ABRA but it is an
15 independent effort.

16 [Slide]

17 The aim of this presentation is to calculate the
18 probability of a non-reactive but infectious source plasma
19 donation entering a manufacturing pool. We all have heard
20 this probably ad nauseam in terms of equations and prediction
21 models. What I have tried to do, since I am just a simple
22 country epidemiologist, is to refine this into some little
23 charts that I hope everybody can follow more easily. I have
24 to apologize for the sets that you all had before. There is
25 one that has an error in it, which might have confused it a

1 little bit, but there is another set.

2 In the model that we are also going to talk about
3 we are going to describe the data collection and validation
4 that ABRA has undertaken, and we are going to describe the
5 methods used to calculate the incidence and residual risks,
6 and then the results that come out of these models.

7 [Slide]

8 The data collection was actually a very
9 substantial effort, conducted over the 4-month period. We
10 collected data from 370 collection centers who reported
11 information; a little over 4 million total donations. This
12 data collection effort has continued and we are now into it
13 for another 4 months, but we haven't cleaned that data yet
14 but those will be used to substantiate and refine the
15 estimates.

16 We have donation histories for 215 confirmed
17 positive qualified donors for HIV, B and C and we have
18 donation histories for a sample of approximately 16,000
19 non-reactive donors. That represents over 300,000
20 donations. So, as you can see, it is a substantial data set
21 that we put together in a relatively short period of time.

22 [Slide]

23 The data monitoring system starts with the
24 laboratory test results. What we do, we collect information
25 from the testing laboratories and what we get from that is

1 reports of seropositives and then the total number of
2 donations processed. This is where we get the number of
3 donations that have come through in a 4-month period. There
4 were about 4 million donations.

5 We then go back to the donation centers and ask
6 them to review the histories. We do this to make sure that
7 nobody is in the system twice; to make sure that there are
8 only qualified donors. Then what we are doing, we are
9 collecting the complete donation histories, and we have
10 asked them to send them to us from the beginning of 1997.
11 These come directly to Westat. What we are using those for
12 is to answer the FDA question about what the impact of the
13 60-day hold would be. So, we are using historical
14 information and actual empirical data as opposed to just the
15 modeling. Then we review all of this data and do data
16 entry. So, this is what we do on the positives.

17 [Slide]

18 For the negatives, again, we collect from the
19 centers a sample of donors, and we have collected about
20 16,000 donors. We then review, edit and enter this data.
21 Some of it is given to us on disc form but most of it is
22 hard copy, paper and pencil. Then we edit it. We look for
23 inconsistencies, clean it and compile a database. This
24 database then is used for the subsequent calculations, as
25 you will see.

1 [Slide]

2 I have already mentioned this. This is the
3 process that we go through. The labs confirm the positives
4 and the donation histories. We review it for qualified
5 donor status and, in fact, there were some that came in,
6 about 65 out of 300,000, that had longer intervals. So,
7 those long intervals that they would have had to requalify
8 as donors were then removed from the data set, and that is
9 because those donations wouldn't have been used because they
10 were requalified. What we use that for is the calculation
11 of the inter-donational intervals, and 65 out of 300,000
12 doesn't make too much difference. Then, as I say, we enter
13 and verify the data.

14 [Slide]

15 Just to go back, the residual risk represents the
16 probability that any given donation is in the window period
17 and released for pooling. Our model includes the
18 probabilities of both the known seroconverting donors and
19 the probabilities for seronegative donors. These are the
20 donors who, at the end of the observation period, we don't
21 know their actual history, impending history, and some of
22 them could have been within the window period. So, those
23 are added into the calculation and that makes it parallel to
24 the system that we have used to predict residual risk in the
25 whole blood donors.

1 [Slide]

2 In our models we have also accounted for industry
3 60-day hold, and in the model that we used -- just as a
4 point of clarification -- we have 4 months of data but we
5 have broken it down into 2-month periods. The first 2
6 months are the observation and then the second 2 months for
7 calculating the 60-day hold are used to look at the
8 projected impact of the 60-day hold. The reason we did
9 that, we figured that at the end of the observation period
10 the people had to have at least 60 days to come back. So,
11 then what we did, we went back and calculated the impact of
12 the 60-day hold on the negative donation.

13 [Slide]

14 You have seen assumptions parallel to these, the
15 one Satten presented, and as the population incidence rate
16 for all donors is constant, unlike the Satten model, we use
17 a fixed window period because we think that the projections
18 are more accurate. Then we also are using the steady state
19 assumption that the donors who leave the pool are equal to
20 donors that enter the pool.

21 [Slide]

22 These are just the terms that you will see in some
23 of the other slides. Everybody is already familiar with
24 window period and incidence. The t_0 on the slides that
25 follow will always be the last donation, and t_1 is the

1 donation that is under consideration. In the slides later
2 you will see T, which is the inventory hold, and these are
3 probabilities that we then calculate.

4 [Slide]

5 These are the slides where I have attempted to
6 simplify to show what goes into the model. This is the
7 first case when the last donation, here, is positive. What
8 you can see from this slide is that here is the donation
9 under consideration. We don't know when the person
10 seroconverts. So, what we are calculating is he has to
11 serconvert sometime between t_0 and t_1 , and if you calculate
12 or track back the window periods what you are looking at is
13 that there is some potential risk within this box for a
14 donation. For the first example the donation is outside
15 this box. So, that means that it has absolute 0 probability
16 of being within the window period. So, in all of these
17 cases the probability assigned to that donation is 0. These
18 would have a different probability, as you will see on the
19 next slides.

20 [Slide]

21 This slide, again, the same thing; the same box.
22 Here is a donation now within the window period. This one,
23 now we know, is within the window period so it has a
24 probability of 1. Again, we go through them and we add all
25 of those up.

1 [Slide]

2 The more interesting ones are the ones that fall
3 within this box. What we are trying to do is estimate how
4 many days at risk there are, and it is the whole width of
5 this box and what we are trying to estimate here is what
6 percentage of the time it would be within the window period.
7 So, the calculation really refines to the ratio of this
8 orange box to the total box and that is the assigned
9 probability. As you can see, the further out the donation
10 is from the initial donation, the closer it is to be 0. So,
11 it is right on this line, here, that it would have a 0
12 probability of being within the box and if it is out here,
13 again, it is the first example. If it is to the right of
14 the box, as you move further the probability increases that
15 it is going to be within the window period. As soon as you
16 cross the line it is a probability of 1. That, again, is
17 parallel to the models that you see with the whole blood.

18 [Slide]

19 Now what we are looking at is the probability that
20 the last donation is non-reactive. Again, it is very
21 parallel and easy to see. Here is the last donation which
22 is negative. Here is the window period and a donation way
23 back here. So, that has a 0 probability of being in the
24 window period and that is assigned a probability of 0.
25 These are important because, as you see, we had 330,000

1 negative donations and we only had 215 positive donations so
2 in many instances the negative donations contribute the most
3 of the estimate to the residual risk.

4 [Slide]

5 Again, here is one that is within the window
6 period and, again the same thing, we are calculating the
7 proportion of the window period that would be at risk. As
8 you move further towards the t_0 , it becomes more like the
9 whole blood situation. The whole blood situation assumes
10 that everybody has the risk of the window periods times the
11 incidence rate. What we are calculating here for these
12 donations is that the window period is reduced. So, in this
13 one there would only be a small risk period. As you move
14 forward it approaches the window period. So, the interval
15 is bigger and the risk becomes bigger.

16 [Slide]

17 These are very parallel because now all we are
18 doing is we are superimposing a hold period. Again, if it
19 is outside the window period and outside the hold period,
20 the probability is 0 that it is going to be within the
21 window period or be infectious.

22 [Slide]

23 If it is within the window period but also within
24 the hold period, from here over, the probability still is 0
25 that it would be released and be infectious.

1 [Slide]

2 Again, this is parallel and what you see here is
3 that this donation is outside the hold period, within the
4 window period, and we calculate exactly the same the
5 probability. So, the probability is the orange part of the
6 whole box. That then translates to that versus the area
7 here, which is the area of that box, and that gives us the
8 probability estimate for this particular donation being
9 within the window period and being released. Again, as you
10 move further the probability is decreasing.

11 [Slide]

12 This is again parallel to the whole blood
13 situation. It is within the window period and the donation
14 is negative. So, what we do is we calculate a probability,
15 and the probability is a function of the distance that it is
16 outside from the window period and, again, it is the area of
17 this small box which translates into days. That is what is
18 then used for calculation of the probability.

19 [Slide]

20 With all of that then, what you can see from this
21 equation is that the window period of residual risk is a
22 function of all of those probabilities that I mentioned
23 summed, and this is the probability of the positive
24 donations. This is the probability of the negative
25 donations over all of the number of released donations. So,

1 it is a relatively simple calculation once you get to this
2 step.

3 [Slide]

4 Now just a couple of terms, the incidence rate is
5 a conventional definition. It is the rate of new infections
6 in the qualified donor population. We use person time. To
7 calculate the person time we are calculating the number of
8 donations times the mean inter-donational interval. The
9 incidence rate then becomes the number of seroconverters
10 times 100,000 so that we don't have to look at all of those
11 zeros, divided by the person years of observation.

12 [Slide]

13 The next slides just show you where we are in
14 terms of some of the parameters. The average inter-
15 donational interval -- again what we did, we summed up for
16 the 300,000 donations all of the inter-donational intervals
17 the times and then we divided by the number of intervals.
18 That gave us an average inter-donational interval of 5.3
19 days. So, you can see that the donors come back fairly
20 rapidly.

21 Standard deviation -- I probably shouldn't show
22 this since, as you can tell from the range of 2 to 178 days,
23 it is really skewed and to a statistician it wouldn't mean
24 anything. But the reason to put that up there is just to
25 show you that it is very skewed and that it is way down at

1 the level of the 5 days donations.

2 We were worried a little bit about the impact that
3 we had different numbers of observations for the negatives
4 for companies, and we were worried that some companies may
5 have people coming back more quickly than other companies.
6 As you can see, the range of them coming back for the
7 different companies, or which there are 16, ranged from 4.8
8 to 8.1 days. So what we did, we calculated weighted average
9 for all of the donations and we came up with a weighted
10 average of 5.4 days. Since that was so close to the
11 average, we then decided to use the 5.3 because
12 statistically it becomes a lot easier to handle and we don't
13 think it would add very much in the interpretation of the
14 data.

15 [Slide]

16 You have all been eagerly waiting to hear the 4
17 data slides. For HIV we had 36 seroconverters. The inter-
18 donational interval is 5.3 days. Total donations is the
19 same, and we have an incidence rate of 61.9 per 100,000
20 person years. Here is the confidence interval around it.
21 So the confidence interval is actually pretty tight.

22 For HCV we have 37 and for hepatitis B, by far the
23 most prevalent, we have 143. Now, if anybody can remember,
24 the first number that I showed was 215 and it is obvious
25 that these don't add up to 215. It is 216. So, one of

1 these people was co-infected and is represented in this
2 table twice. Unfortunately, I can't remember what the co-
3 infection was but we calculated them independently.

4 [Slide]

5 This is the question that was initially asked
6 about the impact of the 60-day hold. So what we did, we
7 took the positives and we went back using the 60-day holds
8 and we used 2 window periods. For example, for HIV we used
9 the window period of 11 for PCR and the 22-day EIA and we
10 looked at how many would have been interdicted, and we found
11 that we would have interdicted with the 60-day hold 59/59.
12 So, 100% of those that were in the window period would not
13 have been released, for an interdiction rate of 14.7. We
14 just took the numbers and divided it by the total number of
15 donations. You can see that even with the EIA we were still
16 interdicting 100% for the HIV. That is because the window
17 periods are relatively small. As the window periods get
18 bigger then more will slip through, as you can see down here
19 with the biggest window period of 82.

20 For HCV we again looked at PCR, and I just got a
21 news flash from Mike Busch that this number is by far an
22 overestimate and it should be somewhere between 7 and 12
23 days. So, our subsequent residual risk rates should be
24 modified and will come out to be lower. But we were taking
25 a 23-day PCR. Using the EIA too, we are only interdicting

1 about 55% of the HCVs.

2 For the hepatitis B we are interdicting about 91%,
3 and the interdiction rate is still quite high. The rate is
4 also, as you can see, a function of the longer window period
5 here than in these cases. So, you really won't gain very
6 much unless you can shrink this window period by a bit.

7 [Slide]

8 This is translating the data into the empirical
9 calculation of the residual risk. As you can see, for the
10 HIV with 11-day PCR we are calculating a residual risk of
11 about 0.49, and this is about 1/2 million. If you look at
12 the EIA and the 22-day period, it is about 1.47 and this is
13 about 1/680,000 compared to the whole blood situation where
14 we calculated about 1/450,000. So, we are fairly comparable
15 with the 60-day hold.

16 Here are the comparable estimates using the HCV.
17 With the HCV, I believe that since the industry does pooled
18 PCR in addition to the EIA and since the ramp-up of viremia
19 is very fast for the HCV, I think that the pooled PCR
20 testing is probably comparable to doing single-sample PCR
21 testing. So, I think that somewhere around here is probably
22 the true estimate of the HCV residual risk, and I think it
23 is closer to here than it is using the longer window period.
24 In fact, the window period used is a little bit of an
25 overestimate even for this because this is from infection to

1 test and it is not just the period of infectivity.

2 [Slide]

3 This slide shows you the impact of the model. The
4 point that I would just like to make is everybody keeps on
5 saying, "does your model include negative donors and
6 positive donations?" If you look here, what you can see is
7 that for HIV the sole contribution to the residual risk is
8 made by the negative donations. The positive donations are
9 all captured so that you have the majority of the risk due
10 to the negative donors.

11 In hepatitis B, for example, the situation is the
12 opposite. The majority of the risk is due to the positive
13 donors with some smaller risk due to the negative donations.
14 You know, it is 86% due to the positive donations. So, you
15 can see that by including the negative donations you, in
16 fact, come up with a larger estimate of your residual risk.
17 That is probably a more accurate estimate than some of the
18 models that we believe were shown or talked about last.

19 That is our data presentation. Thank you.

20 DR. HOLLINGER: Thank you.

21 [Slide]

22 DR. SIMON: As Alan indicated we are moving from
23 the data reflecting the way things are now to what they
24 might be if we go to a quarantine system, and trying to
25 compare and see what is in the best interest of safety.

1 [Slide]

2 First, we have the factors that affect the
3 residual risk, the donor screening and the viral marker
4 testing; then the effects of viral inactivation, the viral
5 reduction and the clinical experience we have had with that.
6 Finally, we will spend some time looking at the analysis of
7 what the impact of a quarantine would do and where we wind
8 up with ultimate safety.

9 [Slide]

10 Just to remember that this is where we are
11 starting with the data that Dr. Schreiber showed you of what
12 the risks are today and our best analysis.

13 [Slide]

14 I think it is an important point to make that this
15 is a theoretical model of the probability or the possibility
16 that units in the window period might be entering the pool.
17 We don't know if such units are entering the pool, and we
18 believe that this model which is based on the test result
19 overstates the true risk.

20 The reason for that is that we are interdicting
21 units during the 60-day inventory hold for reasons other
22 than a confirmed viral marker test. Primary among these is
23 the medical screening deferral option that we have when a
24 donor returns and a reason for deferral is found that could
25 impact product safety. The manufacturer would be informed,

1 a lookback conducted, and all units would be interdicted and
2 removed from possible manufacturer. So, any new events that
3 would occur and would be revealed in the medical screening,
4 such as a new diagnosis in the spouse of a disease that
5 could be transmitted by transfusion, or change in life style
6 habits or anything of that sort.

7 Some of the companies are also interdicting based
8 on increased ALT, although this is a variable practice. In
9 addition, the companies will be, of course, removing units
10 which also have indeterminate confirmatory testing, which
11 are not included in the analysis and, of course, would be
12 informed about all repeat reactives that would make a
13 determination even when there is negative confirmation.

14 Finally, there is this major issue of post-
15 donation information. We come across a great deal of post-
16 donation information and whenever that information indicates
17 there could be a problem with the safety of the unit that
18 was shipped for further manufacture, the manufacturer would
19 be informed and would have the opportunity and would,
20 indeed, go ahead and remove that unit from the pool. So, we
21 have a variety of other factors that allow us remove units
22 that could possibly impact safety.

23 The problem with the interdiction, as was brought
24 up by Dr. Biswas and Dr. Hollinger at the last meeting, that
25 we can't be sure that every donor returns during the 60 days

1 is true. So, it is not a zero risk situation. But the flip
2 side of that is that every unit that we remove in the
3 interdiction, we have reason to believe could impact the
4 safety of the product, as contrasted with the quarantine
5 where we may be removing very many units that we have no
6 reason to believe there is a safety problem. So, this is a
7 mechanism that allows us to remove units which reduce supply
8 but units which we know or we feel could have an impact on
9 safety of the final product.

10 [Slide]

11 We are not going to present a detailed analysis of
12 the viral attenuation procedures which both inactivate and
13 remove virus, but I think it is important to point out
14 before we move on that all the data that you have seen and
15 many possible window units would go into a pool that is
16 subject to various viral reduction measures. These measures
17 have been validated, and various estimates have been made of
18 their effectiveness. I believe claims are allowed for up to
19 a 4 log reduction although it is felt that it is actually
20 much greater.

21 We do have an extensive clinical history since the
22 implementation of the validated methods. Since sometime
23 around 1987 in the treatment of hemophilia, there is a lack
24 of documentation of any transmission of disease from Factor
25 VIII, Factor IX products that have been appropriately

1 treated, and since we dealt with the hepatitis C problem and
2 IGIV in the early '90s and have been applying appropriate
3 methods since around 1994, we have million-plus vials of
4 that product that have been administered without known
5 transmission. So, we have good clinical history to support
6 the safety of the product as it is finally distributed to
7 the patients due to these additional measures.

8 [Slide]

9 Now we look in contrast or in addition to this at
10 what could be achieved by a 30-day quarantine. We have
11 chosen 30 days arbitrarily but in consultation with the
12 Agency on the assumption that at some point PCR testing
13 would make that a reasonable period of time to use for a
14 quarantine to pick up units that might be in the window
15 period, and in order to do this analysis in a rapid fashion
16 to bring back to this meeting we have made a number of
17 assumptions which we believe are valid assumptions for this
18 analysis. Individual unit release would be the criteria,
19 which means it would be the responsibility of the collection
20 center to maintain the quarantine and to release for
21 shipment across state lines for further manufacture only
22 when the quarantine measures have been satisfied.

23 We are allowing units to be held up to 45 days or
24 donations through 45 days. There will be a few extra days
25 to get test results back. So in other words, if a donor

1 were to donate on June 1, that donor would have to return
2 between July 1 and July 15 for the June 1 unit to be shipped
3 under the quarantine hypothesis that we are examining. We
4 have chosen the 45 days somewhat arbitrarily but we believe
5 that is a reasonable period for this analysis and, as you
6 will see, it will be logistically problematic even at that
7 level, but the extent to which this can be tracked and also
8 to which these units can be stored before release dictates
9 to some extent how far we can go in waiting for the donor to
10 return.

11 Units for which the donor has not returned, for
12 which we do not have retest results after 30 days would be
13 destroyed, and this is based on the assumption that the
14 quarantine might be a gold standard.

15 [Slide]

16 We are looking in our analysis at these areas of
17 impact: Of course, the supply one which we know the
18 Committee is very concerned with; also issues that relate to
19 logistics and cost; unit tracking and computerization;
20 retention and storage capability; impact on center personnel
21 and on the products.

22 [Slide]

23 In terms of supply, our best analysis indicates
24 that something in excess of 30% of the donated units would
25 need to be destroyed in a quarantine program, which we think

1 would not result in a measurable increase in safety based on
2 the data we have shown you.

3 This is based on donor return rates at 77 centers
4 that are owned primarily by two companies that have
5 excellent computerized systems and were rapidly able to give
6 us the data for this analysis. It does represent
7 approximately 20% of the industry total and is, in our mind,
8 fairly representative. The return rates are measured by
9 totaling the number of donors that return between 30 to 45
10 days after a given donation date.

11 [Slide]

12 The actual data which is the basis of the
13 conclusion is shown here. Basically, each of these
14 companies chose a donation date in 1997, and then one early
15 1998 which, as you see, tracks a fairly substantial number
16 of donations because these are large companies, and then
17 looks at the percentage of donors who did not return for
18 another donation that could be tested 30 to 45 days after
19 the donation on the date shown. For the 10/13/97 donations
20 at one of the centers it was 31% that were lost. For the
21 other October number it was 34%. The two '98 came up with
22 almost identical percentages of 37%. So, that is what we
23 come up with, somewhere between 30% and 40% or, more
24 conservatively, something more than 30% of total donations
25 we estimate would be lost to final supply based on this.

1 What I want you to keep in mind is that as we
2 discard these units, these would be units from donors in
3 many cases who have donated for years but happen to be
4 working overtime and being unable to return, or have moved
5 to another community or have developed a new diagnosis that
6 is unrelated to safety, like coronary disease or diabetes,
7 and couldn't donate any further, as well as newer donors.
8 But, in general, we would be discarding units about which we
9 have no information to suggest that they are harmful based
10 on the fact that we couldn't confirm that we had a test
11 result during the window period.

12 [Slide]

13 There are other impacts as well. Unit tracking
14 and computerization -- not all of our companies are fully
15 computerized but they are moving in that direction, and we
16 asked one of the companies to do an analysis with their
17 programmers of the cost of reprogramming to achieve this,
18 including the design and development of new systems, their
19 validation and 510(k) clearance by the Agency.

20 [Slide]

21 The estimate based on that is between 50 and 100
22 million dollars, 10 to 20 million for this one company
23 alone; 50 to 100 million dollars by the industry as a whole
24 would be spent in order to redo existing systems or systems
25 and design or in work right now in order to achieve this

1 tracking. That would be for implementation, validation, and
2 regulatory authorization and would be in a time range of 3
3 to 5 years.

4 [Slide]

5 Perhaps the biggest one in terms of feasibility in
6 the short term would be storage capacity. Right now, most
7 of our companies try to ship every two weeks. So, the truck
8 comes every two weeks for the units that have been moved out
9 of quarantine and are prepared for shipment, and they would
10 have left as a residual another one to two weeks of supply
11 on which there weren't complete test results or for which
12 applicant donors had not returned. To extend this out to 45
13 days we estimate would be an approximate 5-fold increase in
14 current storage capacity for these collection centers, which
15 would mean an investment in modifying the facility,
16 relocating in some cases where there wasn't space for
17 additional freezers, and building new freezers, and this
18 would also require ELA and BLA modification to be submitted
19 to the Agency.

20 [Slide]

21 Our cost estimates for this is something in excess
22 of 50 million dollars for the total capital investment and
23 equipment facilities and the regulatory compliance
24 requirement, with a time scale of two to four years for
25 completion.

1 [Slide]

2 In order to track this and ensure that all the
3 quality and GMP requirements would be met, we estimate that
4 it would require approximately 3 new personnel for the
5 average for a large size center, with new packing, shipping
6 and inventory management processes, which would result in
7 multiple layers of additional QA/QC functions, and we are
8 estimating that this cost would likely exceed 80 million
9 dollars per year.

10 [Slide]

11 As we indicated, there would have to be additional
12 QA/QC functions in the center, but there would probably be
13 some requirements for the manufacturers to meet in order to
14 qualify these units and to assure that all the quarantine
15 requirements have been met with redesign of inventory
16 management and unit tracking and the flow.

17 [Slide]

18 So, remembering that our comparison of what a
19 quarantine would achieve is something to improve safety
20 beyond these numbers and the additional steps that are
21 taken.

22 [Slide]

23 Therefore, we would conclude that a quarantine
24 such as has been proposed would have drastic effects on the
25 final supply of source plasma to the manufacturer and the

1 supply, therefore, of final product with a reduction of
2 something in excess of 30% using a 2-week period for the
3 donor to return after the 30 days.

4 We also have very significant logistic and
5 financial issues that would compound the situation and
6 create major feasibility issues in terms of instituting this
7 over a period of time.

8 We believe that, given the data that we have
9 presented and that are available, the quarantine would not
10 meaningfully -- or said another way, would not measurably
11 increase plasma product safety above what is in the current
12 standards.

13 However, I do want to emphasize that while we
14 believe, based on our analysis, that a quarantine is both
15 unfeasible and would compromise supply and not significantly
16 increase safety, we do recognize that complacency is the
17 enemy and that continued vigilance is required to ensure
18 safety. So, we do appreciate the opportunity the Committee
19 and the Agency have given us to do this analysis, and we
20 agree on the necessity of continuing to look at options to
21 increase or maintain the safety standards that we have, and
22 we are committed to a continued science-based database
23 assessment of safety and a dialogue with you on that basis.

24 Before turning it back to Alan for questions, I
25 would like to take this opportunity to acknowledge the

1 support of the companies that helped us get this data and
2 the people there, as well as our staff at ABRA, particularly
3 Bobby Whittaker and Chris Healy and also, of course, George
4 Schreiber's staff at Westat.

5 DR. LISS: Again, just in closing before asking if
6 there are any questions if there is time permitted for that,
7 just to remind everyone that this is just the beginning and
8 that these are ongoing promises to continue science-based
9 data gathering for us all to reach the safety and quality
10 and supply issues that we all demand. So, thank you again.

11 DR. HOLLINGER: Thank you, Dr. Liss. Any
12 questions now for this group? Yes, Dr. Mitchell?

13 DR. MITCHELL: I have several questions. You
14 talked about the increased cost of tracking. I guess I am
15 curious as to how you currently track for the inventory
16 hold, and how that would be different under the quarantine.

17 DR. SIMON: The inventory hold is an interdiction
18 so that the inventory hold occurs when we have either a new
19 event that triggers it, in other words new information, a
20 positive test result, and all those analyses would go to the
21 regulatory departments of the companies that would analyze
22 them, and when they are significant would then send
23 information to determine where the units were shipped and
24 send them to the manufacturer. So, prospectively we don't
25 track each unit. It is a retrospective thing that when we

1 get information of concern, then we go back to those
2 particular units that are involved.

3 The difference here is that each unit would have
4 to be prospectively tracked. That is, no unit could be
5 released until someone determined that the donor had
6 returned and had negative test results 30 to 45 days after
7 the previous donation. So, say, on July 1 somebody would
8 have to physically look at all the units donated on June 1
9 and what was left from before that date and then determine
10 in each case whether the donor had returned, and they would
11 have to keep on doing that until such time as we arbitrarily
12 cut it off and removed the units.

13 DR. MITCHELL: Okay, but first of all, you don't
14 hold it on site, you send it --

15 DR. SIMON: Right, it is not a quarantine for
16 release so the units are sent to the manufacturer. The
17 manufacturer holds it actually for 60 days --

18 DR. MITCHELL: But the manufacturer has to track
19 it.

20 DR. SIMON: Right. The manufacturer then would
21 have to keep units at least in storage for 60 days. So, the
22 manufacturer moves them out of storage into the
23 manufacturing line. We have to determine that at least 60
24 days had elapsed and they have the systems instituted for
25 that.

1 DR. LISS: Perhaps to add to that, first of all,
2 each company does it differently and there are variations on
3 the theme. I think what Toby was saying is that currently
4 tracking is more temporal tracking either at the
5 manufacturing site or the collection center. Adding the
6 complexity of the data entry not being at a particular time
7 but being essentially spread out through the entire hold
8 period, you know, the addition of when the data would come
9 in that would initiate the interdiction event would be
10 different for each unit. So, it is trackable but trackable
11 using a computer system which currently isn't used by the
12 industry versus the current issue, which is perhaps you have
13 a lot of different units, all marching to the same temporal
14 time beat. Did that clarify it?

15 So, the complication, I believe, is that the
16 timing for the data could be any time throughout that
17 period. It is another event in addition to a holding period
18 versus a calendar hold.

19 DR. HOLLINGER: Any other questions? Yes, Dr.
20 McCurdy?

21 DR. MCCURDY: If the average inter-donation
22 interval is between 5 and 6 days, and it is permissible for
23 donors to come back as soon as 2 days, I believe, after the
24 donation, and there is an appreciable number that might come
25 back in there in order to give you the average that you have

1 come up with, and in that period of time you might get 2 or
2 3 donations possibly within a window period that may be 10
3 or more days. So, I am curious, if a donor comes in maybe 2
4 or 3 times in a week or 10 days and then stops coming in,
5 which I think is conceivable in this model, why are you
6 interdicting 100% of the infected units by a simple hold
7 without a requirement for retest?

8 DR. SCHREIBER: That is a good point. I think the
9 two slides were actually a little bit conflicting. The
10 question that the first slide addressed where we interdicted
11 100% was of those known positives. The other question that
12 you are raising, what happens to those that you don't know,
13 that is what contributes to the overall residual risk. As
14 you can see, even though we interdicted 100% of the known
15 positives, we still had a residual risk for HIV and that was
16 due to the point that you just raised, that we don't know
17 the outcome of that particular individual but his units are
18 contributing something. If we knew further down the line,
19 which may be in 6 months, we could project what the ultimate
20 history of that person is and we can refine that number.
21 But you are absolutely right, that is the difference between
22 the two, the theoretical and then the calculation of just
23 what we actually interdicted on that small number.

24 DR. HOLLINGER: Dr. Biswas, I think you had a
25 couple of points, and then we will come back to the

1 Committee again.

2 DR. BISWAS: Actually, I wanted to respond to
3 Alan's comment. So, Alan, if I got something wrong I would
4 just like to have clarification. If plasma is only being
5 taken from qualified donors, then an applicant donor who
6 comes in -- if you combine that with the inventory hold, the
7 60 days, if you are only pooling plasma from the qualified
8 donors, then an applicant donor who comes in should surely
9 have to go through two history interviews and two negative
10 tests within the 60-day period, otherwise you would be
11 pooling that first unit, right? And, that would be from an
12 applicant donor.

13 DR. LISS: May I respond? Robin, that is an
14 excellent point. I mean, the alternative may be the
15 opposite. Some organizations might be holding the unit
16 forever or six months -- that is the end of forever, but we
17 certainly wouldn't use it. And the reality -- you know, I
18 can't speak for everyone but I am guessing that logistics
19 would say that you would throw it out if they don't come
20 back within 60 days, but certainly not use it. So, you are
21 conceptually right, but there is the possibility someone
22 would want to hold that for 6 months but certainly that is a
23 good point.

24 DR. BISWAS: Thank you very much. I just wanted
25 to say that we are studying IPPIA's procedures and we will

1 consider whether any regulatory steps would be taken for
2 those that are scientifically valid.

3 DR. MITCHELL: I forgot to say that I certainly
4 appreciate the industry's efforts to respond to our
5 questions. I think they have done a phenomenal job in
6 providing real data rather than theoretical data. So, I
7 think that you deserve some recognition for that.

8 I have also looked at a lot of the cost figures
9 that you have presented. The data that you presented shows
10 the risks are very, very, very low and theoretical the
11 quarantine risks are close to zero. You also presented
12 about the cost, and I think you need to distinguish between
13 the one-time cost and the continuing cost of production, and
14 we will have to look at that.

15 DR. NELSON: Are there any data on how genetic
16 diversity in the various viruses that we trying to screen
17 for and prevent -- hepatitis C and hepatitis B etc. --
18 affects the window period in those who eventually are
19 detected? Certainly, that is a theoretical issue and it is
20 real, but what I can't tell is how important it is with the
21 current distribution of viral infections in potential
22 donors. Do we know any data on that? I mean, we always
23 give just one figure with fairly narrow confidence limits
24 around it but I think that viral genetics may substantially
25 affect those, and I guess we are going to hear about that

1 this afternoon a little bit.

2 DR. HOLLINGER: Yes, host response and
3 concentration and a variety of things probably do play a
4 role. Anybody have any response to that? Any information
5 about the genotypes etc. and their effect on the window
6 periods? Any data? Yes, Mike?

7 DR. BUSCH: I will show this afternoon some data
8 on HIV, but there is reduced sensitivity of the current
9 generation assays to non-clade B infections. It is a very
10 good question, and we now have very good data from plasma
11 donor screening programs where they are picking up a fair
12 number of these donors and following them over time, and
13 there is quite a distribution in the duration of the viremic
14 seroconversion window periods and it would be very
15 interesting to subtype those to see the different subtypes
16 in prolonged versus shorter windows. I have never seen data
17 on that.

18 DR. HOLLINGER: Where are all these hepatitis B
19 cases and C cases in donors who receive blood in the window
20 period? It always amazes me that we have such a large
21 number that we suspect but it is the same issue with
22 hepatitis C that we had before but, still, you would think
23 you would see something coming along the way. Yes? Could
24 you give your name?

25 MR. NAGLER: My name is Rick Nagler, and I

1 represent the Hemophilia Federation. My concern is the
2 figures up there look like it is just a small fraction, but
3 when you take 235,000 pints of blood and mix it together,
4 that figure goes up greatly. It only takes one. In the
5 late '70s and early '80s one of the arguments made was costs
6 -- costs, costs, costs. Now, you know, at least 10,000
7 people from the hemophilia community are going to die. So,
8 when the blood industry does present figures such as that, I
9 would also like to see an independent organization study the
10 matter and come up with whatever findings they get from the
11 figures.

12 DR. HOLLINGER: I think though, in fairness, we
13 should also not forget that there are viral inactivation and
14 removal procedures, and I think we need to at least retain
15 that as an indication of a different step and a different
16 place, but the risks are still there, as you said, and we
17 have to consider that as well. Thank you. Dr. Busch?

18 DR. BUSCH: We saw for the first time today I
19 think incidence rates derived for the source plasma
20 industry, and the incidence rates, you know, were
21 substantially higher than for the whole blood sector. I
22 think the interdiction of the 60-day hold combined with PCR
23 testing, I agree, from the analysis has brought those
24 incidence rates down to a comparable level.

25 I think the comment about the clinical experience

1 is very important. I mean, we have been in the last ten
2 years for HIV and for the last years for HCV transfusing
3 blood without the benefits of these additional safety
4 measures, the 60-day hold and the PCR screening, and
5 therefore, it seems that it is presumably entering pools at
6 those incidence rates with those contributions of high titer
7 viremic donations without any transmissions. My
8 understanding is that the hemophilia community has been
9 fairly well monitored on an ongoing basis. So, I think it
10 speaks very strongly to the efficacy of the inactivation of
11 procedures. They have essentially been completely effective
12 during the period when a moderate number of window phase
13 units were probably entering pools, and now with the
14 additional safeguards that have been described dramatically
15 reduce that issue. So, I think it is really dramatic
16 improvement and I think the inactivation procedures have
17 been proven to be extraordinarily effective.

18 DR. HOLLINGER: Thank you, Mike. Dr. Verter, a
19 last comment?

20 DR. VERTER: Actually, it was more of a question
21 to either you or Linda. While I appreciate and really
22 admire the efforts that were shown here today, if it is
23 going to come back, I was wondering if we could have some
24 input into the next presentations as far as some other
25 estimates that probably are easy to calculate actually. I

1 don't know if that is appropriate or not.

2 DR. HOLLINGER: Yes, I would also like to see some
3 examples, simplified examples, reality, real time, exactly
4 what would happen if you had a person in; the number of
5 samples and what happens; something you can really sink your
6 teeth in. It makes it a lot simpler for us. Right, I
7 agree, Dr. Verter.

8 Dr. Smallwood wants to make a few comments about
9 this afternoon's topics and what is going to happen, and
10 then we will break for lunch. Dr. Smallwood?

11 DR. SMALLWOOD: For this afternoon, if you notice
12 on your agenda, there will be a closed session. I just want
13 to make a few comments regarding that. The closed session
14 is scheduled, according to the agenda, to begin at 3:00 p.m.
15 I would like everyone to be prepared to move quickly and
16 quietly, which would mean that you would need to remove all
17 briefcases and luggage. All recording equipment must be
18 turned off and unplugged. There will be no one allowed in
19 the room other than the transcriber, the presenters and
20 their identified guests, FDA personnel with an ID or a
21 recognition factor, Blood Products Advisory Committee
22 members who have been cleared under the conflict of interest
23 review. There will be a break immediately following the
24 closed session, until 3:45, and it will be appreciated if
25 you would follow these instructions as best as you can.

1 I would also like to ask if the presenters in the
2 open public session for the afternoon would be seated to my
3 left so that you will be available to the podium. Thank you
4 very much.

5 DR. HOLLINGER: Thank you. We are going to take a
6 break then, and we will return to begin at one o'clock.
7 Let's make it 1:15.

8 [Whereupon, at 12:00 noon, the proceedings were
9 recessed to be resumed at 1:15 p.m.]

1 AFTERNOON SESSION

2 DR. SMALLWOOD: For this afternoon's discussion, I
3 would like to make a disclosure for the record. Dr. Paul
4 McCurdy is making a disclosure that in his previous
5 employment with the National Heart, Lung and Blood Institute
6 he was the supervisor of a project officer that worked with
7 a contract with one of the sponsors that will be presenting
8 today on this topic. He would further like to let it be
9 known that currently he is in a consulting arrangement with
10 the National Heart, Lung and Blood Institute, and is
11 continuing that relationship with that sponsor.

12 Before we broke for lunch I had given instructions
13 regarding the closed session, and I would just like to
14 remind you of what was previously said. We will provide
15 assistance to anyone that has any particular problem at that
16 point.

17 At this time, we will continue with the afternoon
18 session. Dr. Hollinger?

19 DR. HOLLINGER: Thank you, Dr. Smallwood. Well,
20 we already had some discussion this morning about the HIV
21 variants, but we are going to be dealing this afternoon with
22 the group O HIV variants particularly and how that impacts
23 on the sensitivity of manufacturers tests for detection of
24 patients with HIV in this country. So, we are going to
25 start out initially with an introduction and background by

1 Dr. Koch. Then we will move forward with some of the other
2 presentations.

3 **Increased Sensitivity of Manufacturers' Tests**

4 **for HIV Variants**

5 **Introduction and Background**

6 DR. KOCH: Good afternoon.

7 [Slide]

8 We have a pretty full plate for the next hour so I
9 am going to keep the introductory comments and background
10 pretty brief. Most of this is information that has been
11 presented to BPAC at some previous point.

12 The main issue before us this afternoon in this
13 session is whether the FDA should continue to require that
14 rapid tests, intended for use in detection of HIV-1
15 antibodies in diagnostic settings, have a demonstrated
16 sensitivity for HIV-1 group O.

17 [Slide]

18 Reports of the inability of some HIV serologic
19 assays to detect all HIV-1 group O clinical samples have
20 raised an issue of substantial concern. In 1994, evaluation
21 of 10 FDA licensed tests, using a panel of 8 confirmed group
22 O positive samples showed that 6/10 tests, including the one
23 licensed rapid test, were unable to detect all of the
24 samples. To date, 2 cases of HIV-1 group O have been
25 identified in the United States, both in 1996. Since that

1 time, no additional cases have been identified.

2 [Slide]

3 In 1996, the FDA asked manufacturers, or
4 manufacturers of licensed test kits, new tests under IND,
5 were requested to modify their kits to incorporate specific
6 group O viral antigens. In 1997, FDA wrote manufacturers to
7 inform them of changes in the review criteria that would
8 facilitate licensure or approval of test kits that included
9 group O-specific antigens. Manufacturers of tests to detect
10 HIV-1 antibodies are in the process of modifying their tests
11 to be sensitive for HIV-1 group O in clinical specimens, and
12 some of the manufacturers will present information and data
13 in a progress report to the Committee in the closed session.

14 FDA is seeking recommendations from the Committee
15 regarding the need for manufacturers of rapid tests, used in
16 diagnostic settings for detecting antibodies to HIV-1, to
17 demonstrate sensitivity of their tests for HIV-1 group O in
18 clinical specimens.

19 This effort is being driven primarily by a recent
20 PHS recommendation that preliminary positive results of
21 rapid tests for HIV-1 be provided to the person being tested
22 before confirmatory results are available in situations
23 where tested persons would benefit. For example, in
24 settings of high prevalence with a low percentage of persons
25 returning for their results, such as sexually transmitted

1 disease clinics.

2 This recommendation is based on research which
3 demonstrates that persons who receive preliminary results
4 understand the meaning of their result and prefer rapid
5 testing, and that the overall effectiveness of publicly-
6 funded counseling and testing programs would potentially be
7 increased. When additional rapid tests become available for
8 use in the United States, the Public Health Service will
9 reevaluate algorithms using combinations of two or more
10 rapid tests to improve the predictive value of rapid testing
11 for HIV antibodies so that the public can derive the optimal
12 health care and health benefit from technologic advances in
13 HIV testing.

14 FDA's position is that it is in the interest of
15 public health to facilitate licensure of additional rapid
16 tests for use in the diagnostic setting. Therefore, it is
17 our current thinking that the requirement for group O
18 sensitivity for rapid tests to be used in the diagnostic
19 setting be waived.

20 [Slide]

21 The question which we will pose for the Committee
22 later in this session, following additional presentation, is
23 the following: With regard to rapid tests used in
24 diagnostic settings, should FDA relax its current policy to
25 require, as a condition of approval, that all new tests for

1 antibodies to HIV-1 have demonstrated ability to detect HIV-
2 1 group O?

3 DR. HOLLINGER: The next speaker is Dr. Branson,
4 on public health basis for HIV counseling and testing using
5 rapid tests, which deals with this issue for which the
6 question is being asked.

7 **Public Health Basis for HIV Counseling and Testing**
8 **Using Rapid Tests**

9 DR. BRANSON: This is Branson. I apologize. I
10 didn't realize I was next on the agenda. It will take me
11 just a moment to get my slides.

12 [Slide]

13 I would like to present the background for the
14 recommendation that the Public Health Service made in March
15 of 1998, related to the use of rapid tests for screening
16 based on strategies for rapid testing.

17 [Slide]

18 The Public Health Service began to reconsider this
19 recommendation on the basis, in particular, of the high
20 rates of persons who did not return for their test results.
21 In 1995, in publicly funded HIV counseling and testing 33%
22 of the people who tested negative and 25% of the individuals
23 who tested positive did not return in order to learn their
24 HIV serostatus in publicly funded testing.

25 Additionally, the CDC had received requests in

1 circumstances where there was need for immediate information
2 in order to make treatment choices, especially in perinatal
3 settings, potentially when a woman would be presenting for
4 delivery at high risk for HIV but have undocumented status,
5 and in circumstances such as healthcare exposure where
6 decisions for treatment needed to be made for post-exposure
7 prophylaxis.

8 [Slide]

9 The Public Health Service considerations were made
10 on the basis of the experience with the licensed test for
11 HIV-1 in publicly funded sites, and considerations were the
12 potential impact on the number of persons who would learn
13 their HIV status. We also considered what the particular
14 value would be in settings of different prevalence and did
15 additional analyses of cost and cost effectiveness. I will
16 be talking primarily about the first two issues, the
17 experience in publicly funded sites and the impact on the
18 number of persons who would learn their results.

19 [Slide]

20 The field evaluation of the SUDS rapid test was
21 conducted in an anonymous test clinic and an STD clinic in
22 Dallas County, comparing a 10-week period when we followed
23 the standard current algorithm for testing, which is to
24 obtain a sample at the time of pretest counseling and then
25 provide no results to an individual until confirmatory

1 testing could potentially be done in a positive sample.

2 The nature of this algorithm, I must say, results
3 in all individuals who are tested for HIV to have to make
4 two visits because it was impractical to be able to
5 potentially provide results to an individual from a negative
6 test but to tell other individuals whose reactive test was
7 positive that no result will be given. This effectively
8 precluded the use of rapid tests in most publicly funded
9 settings.

10 [Slide]

11 In this particular study during the standard
12 protocol period there was approximately a 2.5% prevalence;
13 in doing the rapid protocol period there was a 3%
14 prevalence. In the standard period overall in the anonymous
15 counseling and testing site, as you see here, as the
16 experience is across the country, between 86% and 95% of
17 individuals returned to receive their test results. Even in
18 this setting there is an increase of 99% for HIV-negative
19 individuals and 100% for HIV-positive individuals when
20 individuals were given their negative test results on the
21 day of testing and were given a preliminary positive test
22 result after their rapid test was repeatedly reactive.

23 The experience in the sexually transmitted disease
24 clinic was considerably more dramatic. As has been the
25 experience across the country, the return rates during the

1 current two-step protocol was 30%. In 1995 the return rates
2 for HIV-negative individuals averaged 48% across the
3 country. And, 79% of the individuals who tested HIV
4 positive during the second protocol period did receive their
5 test results, however, only approximately only half of them
6 returned on their own, and 34% required active outreach
7 efforts in order to locate them, and approximately 21% of
8 the people who tested HIV positive did not receive their
9 results.

10 When the rapid test was employed in the STD clinic
11 there was a substantial increase. Up to 93% of the
12 individuals who were HIV negative received their test
13 results, and 97% of the individuals who were HIV positive
14 received their results, only one of whom required outreach
15 visits. So, 94% of people who were given a preliminary
16 positive result returned on their own in order to receive
17 confirmatory results.

18 [Slide]

19 We developed a decision model looking at the
20 potential impact of using rapid tests in various U.S.
21 settings based on the data that was reported to the CDC
22 through a counseling and testing data base in 1995, using
23 the prevalence at different types of testing sites including
24 anonymous testing sites, STD clinics, drug treatment centers
25 and family planning settings. The prevalence at those

1 different sites ranged from 0.4% overall at family planning
2 clinics to 2.9% at drug treatment sites. For each of these
3 sites we also used the rates of return for test results of
4 individuals which ranged from 48% for HIV-negative
5 individuals at STD clinics with outreach efforts up to
6 approximately 85% for individuals who were tested at
7 counseling and testing sites.

8 [Slide]

9 These are the results. At anonymous counseling
10 and testing sites, where we experienced the highest rates of
11 return, an additional 1,970 individuals tested in 1995 would
12 potentially have learned that they were HIV positive if a
13 rapid test had been used, and the experience was similar to
14 that which was observed in the studies in the publicly
15 funded sites. An additional 106,000 individuals would have
16 learned that they were HIV negative.

17 Because there was only one rapid test available
18 and no additional method was available to improve the
19 predictive value, approximately 2700 people would have
20 received a false-positive screening test result, their
21 preliminary result, before they returned for a confirmatory
22 result.

23 [Slide]

24 At the STD clinic the results would have been
25 significantly more dramatic. There was approximately a 43%

1 increase or about 2,700 more individuals who had already
2 been tested would have learned that they were HIV positive.
3 If rapid tests had been implemented, an additional 288,000,
4 nearly a doubling of the number of individuals who would
5 have learned that they were HIV negative. At the STD clinic
6 the tradeoff would have been giving 2,200 people an initial
7 false-positive test result on the basis of a single
8 repeatedly reactive rapid test.

9 [Slide]

10 The difficulty in low prevalence settings was
11 illustrated by the results at family planning clinics.
12 Although there still would have been an increase of 168
13 individuals who would have received a positive test result,
14 a false-positive preliminary result would have been given to
15 951 individuals at family planning clinics which experience
16 the lowest prevalence in publicly funded testing, an average
17 of 0.4%.

18 [Slide]

19 On the basis of this data, CDC combined the
20 figures from all these sites and overall projected that the
21 potential impact for using rapid tests in publicly funded
22 testing, on the basis of the 2.1 million tests that were
23 included in the client record database from CDC, would have
24 been an increase of 8,170 individuals who had already been
25 tested who would have received a confirmed HIV positive test

1 result. This represents approximately 23% of all
2 individuals who tested positive in publicly funded testing.
3 There would have been an increase by 50% of the number of
4 individuals who had learned that they were HIV negative, and
5 significantly, approximately 2.1 million individuals, would
6 have been able to receive their negative HIV test result by
7 making only a single visit instead of the currently required
8 two visits. Overall, 8,300 individuals would have received
9 a false-positive screening test.

10 [Slide]

11 All these figures in the right-hand column reflect
12 the number of individuals who would have received a
13 confirmed positive test result, and we believe that an
14 additional advantage is that these individuals, as well as
15 the 1,115 individuals who had received their initial
16 positive HIV test result who did not return to the same
17 clinics for confirmatory test results would not only have
18 received positive results and advice on changing their
19 behavior in order to prevent transmission, but would have
20 received this information considerably sooner.

21 In many of the settings where individuals are
22 notified of their test results there is a substantial delay
23 between the time the person is tested and the time that
24 outreach efforts successfully locate and notify these
25 individuals.

1 As a summary, the tradeoff cost would have been
2 8,301 individuals who had received an initial false-positive
3 test result from the single available rapid test.

4 [Slide]

5 As a result of that, a meeting was convened at the
6 CDC on October 24 in order to seek expert advice, in 1997,
7 looking at the issues related to the use of a single rapid
8 test. At that time, it was decided to change the
9 recommendation which had been issued in 1989 to withhold
10 preliminary positive test results until they had been
11 confirmed but, at the same time, attempted to take
12 additional steps in order to make additional rapid tests
13 available in order to improve the predictive value.

14 Our experience basically in other settings, and I
15 will present a little bit of this data, in the use of two
16 different rapid tests suggested that this would
17 substantially reduce the difficulty with false-positive
18 tests. We have gained additional experience, which I will
19 not have time to present today, about counseling on the
20 basis of a single rapid test, as well as patient interest
21 and acceptance of rapid test results, which indicated that
22 this was a prudent step to take because of the potential
23 benefits for the number of people who would learn their
24 serostatus.

25 [Slide]

1 The difficulty with predictive value at rates of
2 different prevalence is illustrated in this slide, in that
3 with a test with the approximate sensitivity and specificity
4 of the currently available test is published at 99.6%.
5 There is a substantial difference at different testing sites
6 in the United States, ranging from a 96% predictive value
7 positive for individuals in testing sites with a high
8 prevalence, such as STD clinics in major urban centers where
9 prevalence is as high as 10%, but overall in the United
10 States our average prevalence is between 1% and 1.5%, which
11 would give us a positive predictive value using a single
12 test of only approximately 67%.

13 [Slide]

14 The CDC had conducted some additional studies
15 looking at a combination of two rapid tests according to the
16 World Health Organization algorithm for HIV testing in
17 diagnostic settings.

18 [Slide]

19 The results of that test are presented on this
20 slide, which is probably too much information in order to
21 comprehend it. But essentially a combination of any two
22 screening tests where there were two EIAs, an EIA and a
23 rapid test, or two different rapid tests in the circumstance
24 of prevalence of approximately 1.5%, which we experience as
25 average overall in the country, would dramatically increase

1 the predictive value positive to these tests to
2 approximately 100% by using those two combinations.

3 On the basis of this, the CDC is seeking
4 manufacturers to make available additional rapid tests for
5 use in the clinic settings so that we can gain both the
6 benefit of additional individuals learning their HIV
7 serostatus, as well as a reduction in the number of people
8 who would be given an initial false-positive test result.

9 [Slide]

10 The summary from that meeting in October resulted
11 in the March 27, 1998 recommendation that the need for the
12 rapid test exists. Because there are single rapid tests
13 with high sensitivity and specificity available, it is
14 prudent to use these in clinic activities depending upon the
15 specific circumstances at the clinic. We found, in
16 addition, that the rapid test would be significantly cost
17 effective in public health settings, and are seeking to
18 increase their implementation in settings of publicly funded
19 counseling and testing. Thank you.

20 DR. HOLLINGER: Any specific questions at this
21 time? We are going to discuss this later on but any burning
22 questions from the Committee right now? If not, let's go on
23 with the next presentation. Ken Shockley, from Murex
24 Diagnostics that produces one of the rapid tests, is going
25 to be speaking at this point.

Murex Diagnostics Presentation

1
2 DR. SHOCKLEY: I would like to thank the Committee
3 for giving Murex Diagnostics an opportunity to present data
4 obtained from two recent studies of the SUDS HIV-1 and HIV-
5 1+2 tests.

6 The objectives of the evaluations were to
7 determine the performance of the existing assays for HIV-1
8 and HIV-2 samples. The studies were performed externally by
9 Dr. Niel Constantine, University of Maryland, and Dr.
10 Richard Bristow, from London.

11 The SUDS HIV-1 test and its counterpart, the SUDS
12 HIV-1+2 test are manually performed, visually read 10-minute
13 immunoassays for qualitative determination of antibodies to
14 either HIV-1 or HIV-2 in serum and plasma. Both tests
15 utilize a proprietary marker filtration immunoassay
16 procedure, and with the HIV-1 test the solid phase is a
17 mixture of marker particles which are coded with HIV-1 gag
18 or p24 protein and a synthetic envelope peptide which
19 represents an immunodominant region of the HIV-1. The HIV-
20 1+2 test is similar in that it has the gag and HIV-1 peptide
21 but we have also inserted as peptide that represents of the
22 HIV-2 protein as well. The SUDS device is a plastic
23 cartridge that does not contain reagents prior to adding the
24 components.

25 [Slide]

1 Both the HIV-1 and HIV-1+2 tests are performed
2 similarly. So, I am going to show the schematic of the HIV-
3 1 test rather than trying to do both of them. The tests are
4 performed by placing one or two drops of serum or plasma
5 into the cup, adding a diluent, then putting one drop of a
6 combined latex capture reagent, incubating that for three
7 minutes. The liquid is allowed to absorb into the device.
8 A wash reagent is added, followed by an enzyme antibody
9 conjugate. That is incubated for three minutes. We follow
10 that by a second wash; a substrate, which is a precipitating
11 substrate, for two minutes, then we stop the reaction; flip
12 the device over and read the reaction. Any blue color that
13 appears in the center circle is a positive result of the
14 test. The two outside wells are wash controls to make sure
15 that the wash reagents are added in the correct order. If
16 they are not, the outside wells will take on a grey to a
17 blue color as well and it is an invalid test.

18 [Slide]

19 The data are as follows for the two studies. At
20 the University of Maryland 55 samples were tested; 20 of
21 those were HIV-0 samples; 18 were HIV-1/2 duoreactives; and
22 12 of those were HIV-2 only samples; and 5 were non-
23 reactive. As you can see, the expected reactivity on each
24 of those was supposed to be reactive for the first 3 and
25 then negative, and the SUDS performed well in detecting all

1 the reactivities in each of the samples.

2 We saw the same sort of pattern at Murex Biotech,
3 in London. Of the 75 samples that were tested, 39 of those
4 were HIV group O; 25 were HIV-2; and 11 were categorized as
5 HIV-M. Again, both the SUDS 1 test, which is licensed for
6 distribution in the U.S., and the 1+2 test which is being
7 distributed outside the U.S., detected all of the samples,
8 including the HIV Os. Although both tests performed well on
9 the O samples and the HIV-1 test performed well on the HIV-2
10 samples, we are working currently with the scientists at
11 Abbott Laboratories to evaluate and integrate into both
12 tests a specific subgroup O capture reagent. Thank you.

13 DR. HOLLINGER: Thank you. The next presentation
14 will be by Dr. Constantine, from the Institute of Human
15 Virology, University of Maryland.

16 **Data Presentation**

17 DR. CONSTANTINE: Thank you. Good afternoon. I
18 think we had better jump right into the slides.

19 [Slide]

20 Our laboratory is at the University of Maryland,
21 the Institute of Human Virology. These three individuals
22 are the ones that actually did the testing for the study I
23 am going to describe.

24 [Slide]

25 The information I am going to present today is

1 part of a study that was published in November of '97. I
2 have some reprints if you would like. That study looked at
3 7 of these 8 rapid assays, none of the confirmatory assays,
4 and we have since expanded that. I am not going to include
5 in today's presentation the information you just heard from
6 Dr. Shockley on the SUDS test but, rather, other additional
7 tests.

8 [Slide]

9 Our objective was to determine the ability of 8
10 internationally available rapid screening tests to detect a
11 series of variants, but today I am going to describe just
12 the group O variants.

13 [Slide]

14 We had 24 samples well characterized as group O.
15 All came from Cameroon. They were characterized by Lutz
16 Gurtler's lab in Germany, using a variety of techniques,
17 generally an ELISA that incorporated group O. This is a
18 commercially available ELISA in Europe, and they compared
19 results to a competitive ELISA that does not include group
20 O. They also used a V3-loop peptide EIA that included both
21 the antigens of the major types of group O, subtypes I
22 suppose. We also ourselves had the samples tested by an
23 ANT-70 synthetic peptide ELISA here, in Rockville, at
24 Biotech Laboratories, to confirm that they were, indeed,
25 group O samples. Some of the samples were tested by V3-loop

1 sequencing but not by ourselves.

2 [Slide]

3 The assays that we used, again, are all
4 commercially available, part of the 54 rapid assays that are
5 available worldwide. Four of these, the HIV-Spot, the
6 HIVCHECK, the Quix and the Multispot, are all flow through
7 type dot blot assays that we all have been quite familiar
8 with. The A/Q rapid assay and the Genie are the new
9 technologies with the chromatographic movement. The
10 Immunochrome is an ELISA actually in a dip-stick format. It
11 is a little bit longer than rapid. Serodia is a very widely
12 used particle agglutination assay used widely in Asia.

13 [Slide]

14 The confirmatory assays that we looked at were 3
15 Western Blots. We included the FDA-licensed IFA assay also.

16 [Slide]

17 A few notes on quality assurance -- we did all the
18 testing. We followed the manufacturer's instructions. We
19 tested each sample once but we had three technologists
20 interpret the results. Any sample that produced discordant
21 results following interpretation, discordant from what we
22 expected it to be, were retested one time only, and this was
23 because of limitations in sample volume, as you can imagine
24 and appreciate.

25 Importantly, if we had a discordant result between

1 the initial testing and the repeat testing, we used the
2 latter result as the final result. So, we kind of used it
3 as if that second test was a repeat assay in duplicate. So,
4 we used that last value.

5 [Slide]

6 Final results, positive, negative or equivocal --
7 we did have some we just couldn't decide if they were
8 positive or negative and even on repeat they were repeatedly
9 equivocal. So, that is what we left them at. We did not
10 include equivocal results in the calculations of sensitivity
11 because we felt that if there was any suspicion about the
12 status of a sample, that would not be used for transfusion
13 or whatever and another test would be done. So, we didn't
14 want to penalize a manufacturer if the test showed something
15 was happening.

16 [Slide]

17 Our results are as follows: There are 6 samples
18 that produced some sort of discordant results between the 8
19 rapid assays. I point out here, due to time restraints,
20 that 1 sample, 021, was actually falsely negative in 3 of
21 the rapid assays. The sensitivity is shown over here, and 5
22 of the assays picked up all 24 group O samples; 3 of the
23 assays had sensitivity a bit lower than that.

24 An important point here is the Quix assay is the
25 only one that has the ability to differentiate infections

1 since it has three separate spots, one for HIV-1, one for 2,
2 and one for O, and I think there is also verification that
3 these were, indeed, group O samples. You see that 5 of
4 these 6 samples reacted with the group O antigen
5 specifically.

6 [Slide]

7 For the confirmatory tests -- you can't read this
8 but I just want to show you this is the IFA and all 23/24
9 samples were reactive, confirming infection by the samples.

10 As far the Western Blots discordants, there were 7
11 samples that were discordant, again, this 021 being
12 extremely problematic in the sense that all 3 blots produced
13 indeterminate results on this sample, whereas for every
14 other sample at least one of the Western Blots picked it up
15 as confirmed positive.

16 I might also note that as far as specific
17 reactivity to antigens, almost all samples reacted with the
18 gag and the pol 31 and 32. There were only 2 samples, I
19 believe, that reacted in any way with envelopes. I guess 2
20 samples, 3 tests that reacted just weakly with gp160, and I
21 think this would be expected.

22 [Slide]

23 So in conclusion, most of the 8 rapid assays do
24 have the ability to detect group O samples to different
25 degrees. There was 1 group O positive sample that proved to

1 be problematic for a number of tests. I suppose we can say
2 rapid tests, at least the ones we looked at, do vary in
3 their ability to detect all group O samples.

4 [Slide]

5 As far as the Western Blots again, they vary in
6 their ability to confirm infection by HIV-1 group O. The
7 range there was 71-92% and none of the 3 Western Blot assays
8 could confirm infection in all samples. The IFA, however,
9 did, and this is similar to reported results from I believe
10 Schable's study. The indeterminate results included
11 reactivity primarily to gag and pol, with weak reactivity to
12 the envelopes. All essentially had reactivity to the pol
13 gene product 31, 32.

14 [Slide]

15 I might conclude by saying there are 6 published
16 studies with the serologic reactivity to group O samples
17 involving commercially available assays, not research ELISAs
18 with group O and so forth. For these 6 studies, you can see
19 the sample sizes were relatively small because these samples
20 are quite difficult to acquire. Ours was the largest of the
21 published studies, using 24 samples. Ours was the only one
22 that specifically looked at rapid assays, at least the
23 published report that I mentioned, but some other studies
24 used a larger variety of assays.

25 But the important point here that I think we

1 should note is that in every case, all six of these studies,
2 there seemed to be some problematic samples of group O.
3 Most assays picked up most samples but there were a few
4 samples that were problematic for more than one assay. I
5 will conclude with that. Thank you.

6 DR. HOLLINGER: Thank you. The next presentation
7 is by Dr. Michael Busch, from Irwin Memorial Blood Center.

8 **Data Presentation**

9 DR. BUSCH: Thank you. I would like to share new
10 data on the distribution of non-B subtypes within group M
11 within the U.S. donor setting. To put that into context, we
12 have heard a lot of data today about group O, and everyone
13 is well familiar with the problems in sensitivity to group
14 O, and the surveillance activities that have been conducted
15 to detect group O.

16 [Slide]

17 There is much less surveillance going on in terms
18 of the subtypes within the group M, which is the major group
19 that is then further subdivided into 9 different subgroups,
20 and this is distinct from the group O types which have a
21 similar diversity of subtypes within group O.

22 [Slide]

23 The concern over the non-O group, the group M
24 subtypes with respect to serology is a little less clear but
25 I am going to share a little bit of data that suggests that

1 we should be concerned about that.

2 This slide just illustrates that all of these
3 different subtypes and groups are found in Central Africa
4 and, clearly, global trafficking is the basis for the spread
5 of the different subtypes geographically, with the early
6 seeding of subtype B particularly in the U.S., South America
7 and Europe and subsequent transmissions to other regions of
8 the world, probably secondary to trafficking to North
9 America into blood derivatives internationally. But over
10 the last few years, particularly in Europe and Asia, there
11 has been extensive detection and in some countries just
12 overwhelming expansion of non-B subtypes. In the U.S., I
13 think there are only 19 published non-B subtypes, although I
14 think we heard earlier that there are probably twice that
15 many that have been identified by CDC.

16 [Slide]

17 The concern over non-B subtypes in the donor
18 population is illustrated by two studies I want to quickly
19 share, both published. This study, French group, Courecet
20 and colleagues, monitored for subtype prevalence in their
21 donor pool, going back to samples collected from '85 and
22 then through '96. They serotyped these samples, which is
23 relatively accurate but does have problems both with
24 inappropriate classification and sensitivity. So, overall
25 of the 508 samples, 466 could be serotyped.

1 What they observed was that 11.5 % of those
2 samples were determined to be non-B based on serotyping,
3 with type A and type C predominant but a few Ds and a few
4 would appear to be recombinant viruses. Interestingly, 80%
5 of the samples that were from African-born donors who were
6 donating in France were non-B. Also, almost 10% of samples
7 from European-born donors were non-B. So, they were clearly
8 detecting transmissions of non-B infections within European-
9 born individuals.

10 [Slide]

11 When they looked at the prevalence by period from
12 the period of '85 through '89, little less than 5% of the
13 donations were non-B. This really increased dramatically
14 over the decade with over 20% of donations in the more
15 recent period, '94 to '96, being non-B group M infections.

16 [Slide]

17 The importance of this is illustrated by what is
18 probably the only study looking at sensitivity to window
19 period of the B versus non-B group M infections. In this
20 paper, published a year or so ago again by a French group,
21 they were able to detect a number of seroconversion cases
22 for both B and non-B in a study that was focused on
23 detecting people with primary HIV infection, people
24 presenting with symptomatic primary HIV syndrome.

25 [Slide]

1 They were screening this population with p24
2 antigen. In the screening period they picked up a total of
3 19 persons who were antigen positive and antibody negative,
4 negative Western Blots. Then these people were followed and
5 they collected samples downstream after the people
6 seroconverted to antibody positivity, and then subtyped
7 them. They detected 10 cases that were subtype B early
8 seroconverters and 9 that were non-B. What they then did
9 was to compare the sensitivity of second-generation viral
10 lysate test versus 3 different new third-generation assays,
11 the Abbott combi test and then 2 European assays that are
12 third-generation antigen sandwich format assays using
13 recombinant envelope and gag antigens.

14 What they documented was that in the B subtype
15 infections the change from the second-generation to third-
16 generation formats dramatically increased the ability to
17 pick up the early seroconversion antigen positive samples.
18 So, whereas only 40% of the samples that were antigenemic
19 could be detected by the viral lysate EIA, 70% to 90% were
20 now detected the antigenemic prebleed by the improved format
21 third-generation assays, which were built and designed and
22 have been known to have enhanced sensitivity to early
23 seroconversion.

24 In contrast to that, when they looked at the non-B
25 seroconverters the rate of pick up for the prebleeds was no

1 different, 22%, 22.11% and 33% with the third-generation
2 versus the second-generation assays.

3 [Slide]

4 This is illustrated here sort of graphically, just
5 looking at the single to cutoff of the different assays, the
6 Abbott second generation, on the prebleeds. So, you can see
7 that the prebleed from the B and the non-B on the viral
8 lysate test were all low reactivity, mostly negative,
9 whereas with the third-generation assays their sensitivity
10 to the group B early infections were dramatically increased,
11 dramatically higher compared to the non-B where there was no
12 improvement.

13 So, the point of this paper was that these new
14 third-generation format assays that have reduced the window
15 period from probably about 40 to less than 20 days, have
16 done so for group B seroconversions but do not appear to
17 have increased sensitivity for the non-B group infections
18 which are potentially being transmitted in some regions of
19 the world.

20 [Slide]

21 So, the question that we have been interested in
22 is understanding the distributions of these non-B subtypes
23 in the U.S. donor setting. In a study that has been going
24 on for about four or five years, we have been monitoring the
25 prevalence of non-B infections in whole blood seropositive

1 donors. We have included in this analysis actually studies
2 retrospectively to understand the distribution of subtypes
3 and the diversity within subtypes dating back to the
4 earliest epidemic in the U.S. This is a collaborative
5 study, as you can see, from a number of people, particularly
6 some Brazilian colleagues, Fogarty fellows, who did most of
7 the subtyping work but also a lot of collaboration from Red
8 Cross colleagues, and particularly support and collaboration
9 from CDC.

10 [Slide]

11 So, what we did was to subtype infections
12 beginning with 3 different groups. The bulk of the people
13 were contemporary seropositive donors, collected and
14 identified as seropositive, enrolled in the CDC donor study
15 over the last 3 to 4 years. For comparison, we went back in
16 time and we selected donors who were identified from the
17 Transfusion Safety Study. These donors were found to be
18 seropositive from a repository of samples that were stored
19 during the 6 months before the HIV test became licensed.
20 So, these are seropositive donors identified just when the
21 test became available, in '84, '85. To get an even earlier
22 picture on diversity, we went back and identified samples
23 from 49 hemophiliacs who were known to be seropositive at
24 their first sampling between '82 and '84. We reasoned that
25 in order for these people to have acquired infections and

1 been seropositive by that point, that the donors who those
2 people acquired the infection from probably were plasma
3 donors back in the period of 1980 or earlier in order for
4 the plasma to have been given and processed into derivatives
5 and then have been transmitted in turn to these
6 hemophiliacs. So, this is kind of the earliest picture of
7 viral diversity within the U.S. population.

8 [Slide]

9 Then, these subjects were all enrolled in either
10 the Transfusion Safety Study or the CDC study and cells of
11 plasma were processed and then DNA or RNA was extracted.
12 The bulk of the work was done using DNA extracted from
13 cryopreserved buffy-coated PBMCs. If those samples were not
14 available or were negative, then we went to plasma and
15 reverse-transcribed the plasma. The subtyping was
16 predominantly based on a method called heteroduplex mobility
17 where you amplify the envelope gene and then you admix the
18 envelope amplified product from each of the donor samples
19 with a battery of separate subtypes in separate reactions,
20 and I will illustrate that in a second. Basically, the
21 principle of this is that if the sample is homologous to a
22 particular subtype it will form homologous heteroduplexes,
23 or closely related heteroduplexes that will migrate rapidly
24 through a gel. It is the relative ability of the
25 heteroduplexes between the different subtype prototypes that

1 allow you to discriminate which of the 8 major HIV subtypes
2 the sample is represented as.

3 [Slide]

4 This just illustrates this. So, each of the
5 donation samples are envelope regions amplified up. Then,
6 that is mixed separately with amplified products from 8
7 different known prototypes. In some cases there are
8 actually 3 or 4 different strains from different types.
9 Then, those samples are denatured together in a tube, then
10 reannealed by cooling. What happens when they reanneal, you
11 both form homoduplexes where the sample amplified product
12 reanneals with itself and the prototype reanneals with
13 itself, but you also form heteroduplexes, which is the
14 annealing of the prototype with the complementary strand
15 from the sample.

16 It is these heteroduplexes which determine the
17 relatedness of these heteroduplex sequences that have
18 hybridized, determine how rapidly these migrate to a gel.
19 So, if the sample is type B the heteroduplexes will migrate
20 rapidly when they are formed with type B prototypes, but
21 much more slowly up above the single stranded region with
22 the non-B prototypes. This is a fairly standard and widely
23 used method for subtype assignment.

24 [Slide]

25 If there was a problem with being able to get a

1 subtype for the non-B samples, they were verified with
2 sequence analysis, and if we couldn't amplify up the product
3 then they were further studied in terms of methods to
4 understand why they didn't yield single. But also we
5 serotyped them in collaboration with CDC to both rule out
6 group O and HIV-2, and also serotyped them to the extent
7 that serotype assays were possible.

8 [Slide]

9 Now, to make a long story short, all of the
10 samples from the hemophiliacs and the '85, '86, early blood
11 donors, were typed as group B. Whereas among the 405
12 subjects who were from the current donor population, we
13 could type 95% of them by HMA typing, and by HMA typing we
14 detected the vast majority as B, there were 2 type A
15 detected and 1 type C detected. And, 22 of the samples
16 could not be typed by HMA and were subjected to peptide
17 serotyping. Ten of those could be typed and were B; 1 was a
18 C, and 1 was an HIV-2 infected donor.

19 The other samples all had seroreactivity from
20 group M but could not be further subtyped. So, the samples
21 that could not be subtyped here were not group O. So, we
22 didn't detect in this study any group O infections, but we
23 did detect actually 2 As and 2 Cs.

24 [Slide]

25 All of these non-B subtype infections were

1 confirmed by sequence analysis. This is a phylogenetic tree
2 and it shows that the samples that we detected as group C
3 clustered with prototype group A sequences. So, the As
4 groups with A, the Cs grouped with C. And, all of the
5 samples that were somewhat problematic or had slower
6 migration were questioned from the study that were
7 classified as B, confirmed out as B based on sequencing.

8 [Slide]

9 The four non-B subtypes are interesting case
10 studies. Two of them were persons who before the newest
11 African deferral criteria were placed donated. One was an
12 A, a 40-year old male who was born in the Ivory Coast, which
13 is a subtype A endemic country. This person's exposure
14 within Africa was heterosexual contact. Another was a
15 recent immigrant, a 34-year old male from Botswana whose
16 only risk in Africa was also heterosexual contact. So,
17 these two were imported cases of non-B subtype. Virtually
18 all the reports to date of non-B in the U.S. have been
19 imported. Either people have immigrated or military
20 personnel, for example, who were infected while in Thailand
21 and then went on to seroconvert, and then were subtyped and
22 were determined to be infected there with an endemic strain
23 there.

24 But in contrast to that, there is actually only
25 one published report from the CDC from a study in New York,

1 in the Bronx, of a transmission in the U.S. of group A,
2 where a person was found in a high endemic population, high
3 immigrant cluster of people within the Bronx, to harbor a
4 group A infection and that person had not been in Africa
5 and, in fact, didn't have a discrete unequivocal exposure to
6 a group A-infected person. So, that is in the non-donor
7 setting.

8 Two of the four cases that we detected appeared to
9 be similar U.S. soil transmissions. One is a 28-year old
10 black female, born in the U.S.; had never traveled outside
11 the U.S., and the only exposure was heterosexual contact
12 with U.S. born persons. So, it is unclear who she acquired
13 the infection from.

14 The second case was a subtype C, a black male,
15 again, born in the U.S., with no travel or exposures to
16 known non-U.S. born people. So, these two appear to be two
17 of the known three U.S. soil transmissions of non-B
18 infections.

19 [Slide]

20 If we look at this by demographics overall, of the
21 total group studied 4/534 subtyped individuals were
22 determined to be non-B. By male/female, interestingly, 3 of
23 the non-Bs or 2% of the females typed hybrid non-B
24 infections. So, it appears as if, you know, heterosexual
25 transmission is probably the route we will predominantly

1 see, especially now that we have reimposed the exclusion of
2 sub-Saharan African immigrants. Race ethnicity -- all 4 of
3 the non-B subtypes were found among black individuals. So,
4 2% of that group are seropositives.

5 [Slide]

6 A third of the samples from African born persons
7 were non-B, which is no surprise because B is actually
8 relatively unusual in Africa, whereas there were 2, or 0.5%
9 of U.S. born persons non-B.

10 [Slide]

11 In terms of region in the country, 3 of these
12 individuals gave their seropositive donations in the North
13 Atlantic region. This is actually an error. This case is a
14 north central region case. So, a single case was outside of
15 the sort of northeast cluster.

16 [Slide]

17 In terms of risk factors, 2 of these cases, as I
18 have described, or 12% of those born in non-B clade
19 countries harbored -- either born or had heterosexual
20 contact with persons were non-B subtypes. And, 2/178 cases
21 from persons who denied classic risk factors were non-B.

22 [Slide]

23 Beyond the ability to look at the subtype, we were
24 also able to look at the diversity within the group B
25 infections over time, comparing the heteroduplex mobility

1 distance among the hemophiliacs from the very early '80s,
2 the donors identified in the mid-1980s, with the donors
3 identified in the 1990s.

4 What you can see here is the relative mobility
5 distribution plots. The bottom line here is that within
6 group B the virus in the U.S. is evolving over time and
7 becoming more divergent from the early prototypes. It is
8 probably not important for the purposes of blood screening,
9 but is important for purposes of vaccination that the virus
10 that is, for example, in the current prototype vaccines is
11 predominantly based on very early isolates, and as virus is
12 continuing to grow and expand in the population within group
13 B it is becoming more and more divergent.

14 [Slide]

15 So in conclusion, HIV clade B is still by far the
16 predominant subtype in the U.S., but within clade B we are
17 seeing evolution. But there is a need for continued
18 surveillance for non-B because we are now documenting a low
19 percentage overall, about 1%, of U.S. blood donors who are
20 infected harbor non-B group M infections. Thank you.

21 DR. HOLLINGER: Thank you, Mike. The final
22 presentation in this session is on the spectrum of U.S. kit
23 sensitivity. Dr. Koch?

24 **Spectrum of U.S. Kit Sensitivity**

25 DR. KOCH: The data you have just heard from Dr.

1 Busch underscores the need to assure that tests used in the
2 United States are able to detect HIV infections by genetic
3 variants other than group M, B subtype that is most
4 prevalent in the United States.

5 [Slide]

6 Just to remind us of the phylogenic distribution
7 of the major group M subtypes, they are distinguished from
8 one another by approximately 30% intrasubtype genetic
9 divergence in the envelope region and 14% intrasubtype
10 differences in the gag region. The 5 major clades of group
11 M, that is A through E, represent 95% of the HIV infections
12 worldwide. Where multiple subtypes are prevalent
13 intrasubtype, recombinants arising due to dual infections
14 adds to the genetic diversity and complexity of the subtype
15 profile of a population, thus generating clades F through I.
16 But these are relatively minor contributors to the overall
17 pandemic of the world. Certainly, as a reminder, group O,
18 the numbers of total cases in the world have been discussed
19 on the order of 100 to 400. So, it is a relatively minor
20 contribution to the worldwide pandemic.

21 [Slide]

22 Geographically, as Dr. Busch also showed, this map
23 just shows approximate locations where persons infected with
24 certain HIV strains have been reported, but not their actual
25 distribution which in many cases is actually unknown.

1 Moreover, the distribution of HIV, one subtype within a
2 given population, is certainly in constant flux. So, at any
3 given point we would only have a snapshot of what is a
4 continuously evolving situation. Thus, it is all the more
5 important that we assess the ability of serologic tests used
6 in the United States to detect samples from individuals
7 infected with HIV-1 variants other than the group M, subtype
8 B that is the most prevalent in North America.

9 [Slide]

10 We have undertaken the beginnings of such a study,
11 looking at 6 FDA licensed tests. We chose the following
12 tests because they represent the EIAs that are predominantly
13 used in the blood screening arena, and chose to look at the
14 one licensed rapid test as well. We might have looked at
15 others but we were primarily limited by sample volumes in
16 some of these cases. So we thought, rather, to look at only
17 the following tests here. A panel of 250 HIV-1 subtype
18 specimens from Asia, Africa, South America and the United
19 States were assembled for this purpose.

20 [Slide]

21 On this slide you can get a sense for the global
22 distribution of these, and the sort of numbers. To date, as
23 best we know, this is the largest such panel ever assembled
24 and it comes together from 3 different sources. We have
25 collaborated with scientists at the CDC from the global

1 surveillance program, and the largest number of samples in
2 fact come from this particular surveillance and collection
3 effort. We have also worked with scientists performing
4 domestic surveillance, specifically targeting African
5 nationals who have come from countries where non-B subtype
6 strains are endemic. Finally, we took advantage of a
7 commercially available panel sold by Boston Biomedika, a
8 worldwide panel, and included it to round out the numbers of
9 some of the less represented subtype variants.

10 A key feature of this collection that I wish to
11 note is that although all the specimens have been
12 characterized genotypically, the most definitive method of
13 establishing the phylogenetic subtype, in some cases they
14 have been sequenced in several gene regions but, at a
15 minimum, they have all been sequenced in the envelope
16 region. So, this represents strains which were well
17 characterized at the genetic level.

18 [Slide]

19 This is work in progress, but it is almost
20 completed. But I thought it important to share with the
21 Committee at this time the data that we have in hand. In
22 some cases where the full set of 250 have not been tested,
23 this is due to lack of sufficient sample volume, but we
24 believe the results are very encouraging since the vast
25 majority of specimens were detected by all tests, including

1 the one rapid test approved for diagnostic use.

2 I am allowed to call these A, B, and C rather than
3 to identify them. In EIA for HIV-1, 226/229 were detected.
4 For test B, 247/250, and test 3, all 250. For the HIV-1/2
5 combi test, EIA test A detected all 235 tested, whereas test
6 B detected 247/250, and for the rapid test, 218 were all
7 detected.

8 I would like to make some comments on the samples
9 that were missed. For the 3 tests that missed 3 samples
10 each, in each case 1 of those specimens was the LA group O,
11 an infection that is known to be a challenge for many of the
12 serologic tests out there, and you have heard this at
13 previous meetings. The other 4 specimens that were missed
14 are samples which are within the BBI worldwide panel, and
15 they have been variously detected by one test or another,
16 but they have a hallmark of being weak positives in most
17 tests or, where they are missed, they are borderline
18 negatives.

19 Western Blot patterns for these specimens are
20 incomplete, 2 of which have actually been genotyped as
21 subtype B, the other 2 being untypable because of the
22 failure to generate amplicon. But a hallmark of the Western
23 Blot patterns is that they all show antibody responses to
24 p24 but lack gp41 and, in many cases, have weak or missing
25 bands at gp120 and 160.

1 Further, a third-generation sandwich assay,
2 specifically the Abbott HIV-1/2 combi test, and this is
3 information that is well-known because it is supplied with
4 the panel, gives a very strong positive signal with all 4 of
5 these samples, which suggests that it may be detecting an
6 IgM specific response and, in fact, the 4 samples that have
7 been giving some tests trouble are perhaps seroconversion
8 bleeds. At least that is one reasonable explanation of the
9 pattern that has emerged from the serology. So, for this
10 reason we believe that the 4 specimens that are sometimes
11 missed in these 6 tests that we have looked at are not due
12 to genetic variation but, rather, are due to the stage of
13 the immunological response of the HIV B infection that was
14 being studied.

15 Analysis of large repositories of blood samples
16 from persons in the U.S. has provided evidence for HIV
17 subtypes other than the well characterized group M subtype
18 B. I might mention that, in collaboration with Patrick
19 Sullivan and Charlie Schable, of the African national study,
20 we were able to look at 31 non-B group M variants, and they
21 were all cases that were immigrated into the United States.
22 It gives you a sense for the kinds of numbers that are
23 confirmed when added together with the data that was just
24 presented.

25 Although the vast majority of HIV infections in

1 the United States have been subtype B, the major group of
2 HIV-1, occasional detection of individuals infected with
3 HIV-1 non-B variants indicates that multiple HIV
4 introductions to North America have occurred and are
5 probably continuing.

6 Further, the global emergence of new HIV group M
7 variants, especially the intrasubtype recombinants with
8 mosaic genomes, will continue to pose challenges for
9 diagnostic tests. But the data that we have generated here
10 I think suggests that the tests currently in use in the
11 United States will be able to meet those challenges.

12 [Slide]

13 I would simply like to acknowledge a large number
14 of people who made this collaboration possible: Tim
15 Dondero, Dale Hu at the International Activities Branch at
16 CDC, Charlie Schable, Tom Folks, Renu Lal at the HIV
17 Retrovirology Branch -- Renu Lal did all the sequence
18 analysis for these 250 samples, Patrick Sullivan of the
19 Division of HIV AIDS, in our laboratory Chuck Roberts, Kori
20 Francis, Jack Shawever and Melissa Benjamin did all the
21 actual testing, and I would like to thank Steve Alexander at
22 Ortho for supplying us generously with several group O
23 specimens.

24 We can put the question up but before we actually
25 ask the question, I would just like to underscore again the

1 following considerations, now shifting gears back to group
2 O. Firstly, I would like to remind the Committee that there
3 are only a few hundred cases at most of HIV group O
4 infections worldwide, most of which are in Cameroon or
5 surrounding countries. Secondly, there have only been two
6 cases of HIV-1 group O that have been found in the United
7 States, and none in the last two years. Finally, most of the
8 rapid tests already exhibit a very high sensitivity for
9 detection of group Os.

10 So, with these facts in mind --

11 DR. HOLLINGER: Why don't we hold the question
12 right now because that comes later on anyway and we still
13 have some presentations to go over right now.

14 DR. KOCH: Right.

15 DR. HOLLINGER: We are now moving into the open
16 public hearing, and there are six companies that have asked
17 to speak during this open public hearing. They have been
18 told to try and limit their talks to ten minutes. So, we
19 are going to start first with Abbott Laboratories.

20 **Open Public Hearing**

21 DR. SCHOCHETMAN: Thank you. Good afternoon. I a
22 Dr. Gerald Schochetman, Director of AIDS Research and
23 Retrovirus Discovery for the Abbott Diagnostic Division,
24 Abbott Laboratories.

25 [Slide]

1 Over the past 17 years, the epidemic of HIV
2 infection has created many challenges for those working to
3 develop serologic and genetic tests to screen for and
4 diagnose HIV infection.

5 [Slide]

6 Perhaps the greatest challenge comes from the
7 realization that HIV is not a single virus but a group of
8 related viruses. The remarkable genetic heterogeneity of
9 HIV has enabled certain HIV strains to potentially elude
10 detection by some commercially available serologic assays.
11 The HIV variants that have caused the most concern recently
12 are a specific subset of the HIV viruses, known as the HIV-1
13 group O viruses, and as you heard this morning, possibly the
14 YBF group of viruses.

15 [Slide]

16 Two groups of HIV are known to infect humans, HIV-
17 1 and HIV-2. Within the HIV-1 and HIV-2 species, groups of
18 viruses referred to as subtypes have been identified. We
19 currently know of at least 10 genetically distinct subtypes
20 of HIV-1 within the group M or major group of viruses.
21 These subtypes have been termed A to J. In addition to the
22 HIV-1 group M viruses, another group of viruses that are
23 quite genetically distinct from them were recently
24 identified and named HIV-1 group O for outliers. As with
25 the group M viruses, group O viruses also contain a

1 collection of highly divergent viruses. Within the HIV-2s
2 at least 5 or possibly 6 subtypes have been identified.

3 It should be pointed out however, that although
4 HIV-1 subtype B is the most prevalent subtype in the
5 developed world, and is the basis of virtually all screening
6 tests, it only represents 1/30th of the total global HIV
7 infections. Although the genetic diversity is greatest in
8 Africa where most subtypes are found, subtype distribution
9 is increasing in other areas of the world as well due to
10 population interactions and migrations. This led the FDA to
11 coin the term "global village" referring to the fact that
12 any variant anywhere in the world is only a plane ride away
13 from anywhere else in the world. Therefore, it is
14 absolutely critical to monitor for the changing dynamics of
15 HIV infection worldwide to ensure the continued successful
16 detection of new viral variants.

17 As our understanding of HIV genetic diversity
18 increases, knowledge of newly emerging HIVs, such as the
19 group O viruses, together with the frequency and changing
20 geographic distribution of known HIV variants, will play an
21 important role in the timely and effective response by
22 manufacturers to their continued detection. Accomplishing
23 this requires an extensive ongoing global surveillance
24 program to monitor the dynamics of HIV evolution and, in
25 particular, the emergence of new divergent HIV variants.

1 [Slide]

2 That is why Abbott Laboratories has undertaken the
3 development of a strong worldwide surveillance network to
4 identify and characterize new as well as existing HIV
5 strains. Our network has been established in collaboration
6 with many of the leading AIDS researchers in the world and
7 allows us to systematically sample HIV variants on a long-
8 term basis. As you can see from the map, our current
9 sampling sites represent diverse geographic areas of the
10 world containing all of the major HIV variants, including
11 the region endemic for YBF.

12 [Slide]

13 To date, we have collected and subtyped over 500
14 samples representing HIV-1 group M, group O, and HIV-2. As
15 you can see, our collection also contains mixed or mosaic
16 viruses containing genetic information from multiple HIV
17 subtypes. Even the subtype E virus, on the left, which
18 predominates in Thailand is actually a mosaic with subtype E
19 in the env gene, and subtype A in the gag and pol genes.
20 The ability of HIV to undergo recombination allows for even
21 greater genetic diversity of the virus. I would like to
22 point out that a large number of these 500 samples are
23 present in sufficient volume to become members of our
24 performance panel for evaluating Abbott's HIV assays to
25 ensure detection of all known variants. We continue to

1 increase the number and geographic distribution of our
2 collection sites, and will also continue to add additional
3 subtyped HIVs to our existing collection.

4 [Slide]

5 Genetic characterization of HIV variants can be
6 complicated by the potential for recombination between
7 distinct viral subtypes yielding mosaic viruses. Therefore,
8 all the HIV specimens we collect are sequence characterized
9 across the viral genome to address identification of mosaic
10 or recombinant viruses. As you can see from the slide, this
11 is accomplished by sequencing the full-length p 24 protein
12 from the gag gene, the full-length integrase or pol I
13 protein from the pol gene, and the immunodominant or IDR
14 region of the gp41 protein from the env gene. When
15 necessary, we also sequence the V3 region of the gp120
16 protein in the env gene.

17 To date, we have also sequence full-length gp160s
18 from 13 HIV-1 group O viruses. However, because the most
19 important viral region for HIV detection is the
20 immunodominant region or IDR within gp41, we have sequenced
21 the gp41 protein from a much larger number of our group O
22 viruses.

23 [Slide]

24 Sequence analysis of the IDR from 20 group O
25 viruses, including 3 from the Los Alamos HIV database and 17

1 that we sequenced, demonstrates that among these viruses
2 there is a substantial amount of genetic variation
3 throughout IDR region. Similar data has been generated by
4 Dr. Lutz Gurtler, in Germany, for an additional 22 group O
5 viruses. Interestingly, the variant HAM112, highlighted in
6 yellow on top, possesses a consensus sequence in the IDR for
7 all 42 of the group O viruses.

8 [Slide]

9 The combination of extensive sequence variation
10 coupled with the limited number of epitopes or antibody
11 binding sites within the IDR, schematically represented by
12 antibody binding sites F, G and H, raises considerable
13 concern for the ability to detect some HIV-1 group O samples
14 using only a peptide. This is represented schematically by
15 the large yellow X through the IDR region. The same would
16 hold true for the HIV-1 group M viruses. Because of this
17 possibility we have chosen to use a large gp41 recombinant
18 antigen containing many epitopes in addition to the IDR.
19 This is schematically shown as epitopes A through M,
20 including the IDR F, G and H epitopes. The use of a large
21 gp41 recombinant antigen increases the possibility of
22 detecting all known HIV-1 group O variants due to the
23 presence of additional common antibody binding sites. The
24 greater number of distinct binding sites displayed on the
25 recombinant antigen increases the chances of detecting

1 antibodies to a variety of HIV variants. This was first
2 evident to us with HIV-1 group M subtype D and E samples,
3 which were not recognized by a competitor's assay that
4 relies solely on peptide antigens. These antigens were,
5 easily detected using the Abbott assay containing large
6 recombinant antigens.

7 [Slide]

8 In this slide we have summarized the genetic
9 variation within the IDR from all 42 of the group O viruses
10 we analyzed. As you can see, there is considerable sequence
11 variation within the important antigenic recognition sites
12 as shown by the regions under the arrows. Even the cysteine
13 residue in the highly important cysteine to cysteine loop
14 shown in the boxed area can change. This is shown by the
15 change of a C or cysteine residue to an F or phenylalanine
16 residue. This change would not only lead to a loss of the
17 important loop structure but also to its antigenic activity,
18 thus, decreasing the ability to detect such an HIV variant.

19 The superior performance of assays using large
20 recombinant antigens in detecting group O viruses versus
21 assays relying on group O IDR peptides is clearly
22 demonstrated in the next slide.

23 DR. HOLLINGER: Could you bring your presentation
24 to a close? You have about half a minute.

25 DR. SCHOCHETMAN: Okay.

1 [Slide]

2 This slide shows a comparison of the performance
3 of Abbott's PRISM HIV-1/2 group O assay compared to two
4 licensed European HIV peptide based group O assays, A and B,
5 against six HIV-1 group O samples. PRISM is a blood bank
6 screening system currently under review at the FDA. The
7 PRISM assay uses large recombinant group O antigens for both
8 the solid phase and the conjugate. In contrast, assay A
9 uses the ANT 70 group O peptide on both sides of the assay,
10 while assay B uses a group O IDR peptide for its conjugate.
11 It is clear from the data that not only are the PRISM signal
12 to cutoffs greater at each dilution, but that strong
13 reactivity is observed for PRISM even at significant
14 dilutions. The endpoint dilutions for 5 of the samples
15 exceed 1:10,000. However, these samples in the peptide
16 based assays are either weakly reactive or in many cases
17 negative, as shown by the yellow highlighted signal to
18 cutoffs which are less than 1 or negative. This is
19 especially true for sample #2156 that is a low antibody
20 titer sample. This sample is still positive in the PRISM
21 assay at a 1:1600 dilution whereas, the peptide assays for
22 this sample are negative even at a dilution of 1:100. The
23 strong reactivity generated by the PRISM assay clearly
24 provides for a greater margin of safety in detecting HIV
25 group O variants, and low titer samples, which may not be

1 provided by the two European licensed peptide based assays A
2 and B.

3 [Slide]

4 In summary then, Abbott has undertaken a three-
5 step strategy to deal with the continuing issue of HIV
6 genetic variation. In the first part of our strategy, we
7 have developed the ability to pursue a rapid response for
8 the identification of new HIV variants. This includes an
9 extensive ongoing global surveillance program to identify
10 new HIV variants, the evaluation of our HIV-1/2 immunoassays
11 to ensure detection of all known viral variants, and the
12 ability to focus our reagent modifications specifically to
13 those reagents affected by a particular virus variation.
14 For example, the inclusion of group O antigens to ensure
15 complete detection of these viruses.

16 [Slide]

17 In the second part of our strategy, we have made
18 use of large recombinant antigens that provide a larger
19 number of common antibody binding sites to better guarantee
20 the detection of all HIV variants.

21 [Slide]

22 In the third part of our strategy, we continue to
23 develop a large performance panel containing all known HIV
24 variants to ensure detection of HIV infections across all
25 Abbott testing systems.

1 Scientifically, we believe that the combined
2 effort of proactive surveillance for new variants,
3 identifying well-characterized specimens for product
4 evaluation, and the selection of antigens for assay
5 development that maximize detection of HIV variants is the
6 most effective means of staying ahead of this ever changing
7 virus. The evidence from our PRISM HIV assay evaluations
8 offers confirmation that with the inclusion --

9 DR. HOLLINGER: I am going to have to ask you --

10 DR. SCHOCHETMAN: I have about ten second.

11 DR. HOLLINGER: All right, ten seconds.

12 DR. SCHOCHETMAN: -- of large recombinant antigens
13 there is strong HIV-1 group O detection. We are applying
14 these recombinant antigens to all of its assay systems from
15 beads to microparticles. This strategy allows us to cast
16 the widest net so as to ensure the broadest detection of all
17 HIV variants including the YBF viruses.

18 Dr. Jim Stewart will be presenting more
19 information on our FDA submissions and our PRISM assay in
20 the closed section later this afternoon. Thank you.

21 DR. HOLLINGER: Thank you. Please stay within the
22 ten minutes because we have a lot of talks here, and I would
23 suggest that you present what is really critical and pass
24 over the things that are not critical or you will have to
25 rush through these things at the end of your talk, which I

1 think is usually the most important thing that you have to
2 say. The next group is Boehringer Manheim.

3 DR. BAYER: Ladies and gentlemen, Mr. Chairman,
4 let me first introduce Boehringer Manheim as a company of
5 diagnostics. So, we are now working together with our
6 combined forces.

7 [Slide]

8 I will talk on antibody assays that were
9 specifically designed for group O detection. My name is
10 Hubert Bayer, and I am director in regulatory affairs in
11 Manheim facility of Boehringer Manheim.

12 So, I will go briefly into the source of antigens
13 used and go into commercial production for non-U.S.
14 countries.

15 [Slide]

16 As a source of antigens we use synthetic peptides
17 and recombinants derived from gp120 and gp41.

18 [Slide]

19 We tested them in an ELISA format. We looked to
20 see gp120 and V3 peptides, the antigens derived from 2
21 different group O isolates on ANT 70 and the MPV isolate,
22 and it was compared to the MM isolate which is a B subtype.
23 Four samples were tested, two M and two O samples, and you
24 see the best reactivities with the O samples were seen with
25 the O isolates, with the O antigen, and vice versa, the

1 reactivity of the M samples was best with an MM isolate.

2 What you can also see here specifically is sample
3 A37 and the diversity of reactivity even within the O group
4 -- this sample is obviously better reactive with ANT 70 than
5 with the MPV. For this reason, many people use this for
6 typing of the assays, and it is best usable for screening.

7 [Slide]

8 If we go to gp41 synthetic peptides, in this
9 experiment peptides from the M isolate and ANT 70 isolate
10 from the O type were compared with 3 samples in a dilution
11 experiment. You see again differences of up to 200 titer
12 units between the reactivity of the O samples with M
13 sequences and O sequences. In one sample, TI196, there
14 wasn't even a positive signal reachable with the M sequence.
15 On the other hand, you see that the M samples detected in
16 various titers the M antigen and showed some cross-
17 reactivity to the O sequences.

18 [Slide]

19 In this experiment we went to recombinant gp41 and
20 tested it in a third-generation format, using the antigen on
21 the solid phase as well as on an enzyme label in different
22 combinations. You see at the lower line the optimal
23 condition for group O detection where you have both
24 sequences from group O. If you switch to only group O on
25 the solid phase and missing it on the enzyme label, it has a

1 significant reduction and you lose sensitivity if you switch
2 away from using the O sequences at the solid phase.

3 [Slide]

4 So, we realized subtype O detection in a third-
5 generation format for our automated immunoanalyzers in a
6 third-generation format. I do not want to go into it.

7 [Slide]

8 And, we tested at different sources available
9 subtype O samples. So, we got the samples from Lutz Gurtler
10 and from Francois Simon and from Cameroon it was Prof.
11 Kaptue. In total, there were 28 samples tested. All of
12 them tested positive. If we go into the reactivity we have
13 a signal to cutoff ratio. You see that 27 of these 28
14 samples showed higher cutoff ratios than 10, and only 1
15 sample was in the lower positive range. This sample was an
16 early conversion sample from Prof. Kaptue.

17 [Slide]

18 In order to make sure that our performance
19 characteristics of the assay were not impaired by including
20 group O antigens, the assay was compared to a non-group O
21 antigen-containing approved assay for submission to Paul.
22 Ehrlich Institute in Germany, and you see the sensitivity to
23 HIV-1 was equal in 553 samples, as well as the HIV-2
24 sensitivities, as well as seroconversion sensitivity tested
25 by the commercial samples. Specificity was at about 99.8%,

1 which is state-of-the-art.

2 [Slide]

3 So in summary, I would state that we were able to
4 develop group O reactive antigen. The antigens could be
5 derived either from rDNA or chemical synthesis. We had some
6 examples of low titers for both samples that could not be
7 detected through cross-reaction with M group antigens, and
8 we could show that incorporation in a third-generation
9 format did not impair other performance characteristics.
10 Thank you very much.

11 DR. HOLLINGER: Thank you, Dr. Bayer. The next
12 presentation is by Genetics Systems Corporation. Is there
13 someone here from Genetics Systems?

14 GENETICS SYSTEMS REPRESENTATIVE: I am sorry,
15 there must be a mistake. We are going to present in closed
16 session, not in open session.

17 DR. HOLLINGER: Okay, thank you. Genprobe?

18 [Slide]

19 DR. MCDONNOUGH: I would like to thank you for the
20 opportunity to present today. I am representing Genprobe,
21 Inc., from San Diego. We are a DNA/RNA probe company. I am
22 Shernel McDonnough, director of research and development at
23 Genprobe.

24 [Slide]

25 I will be speaking on detection of HIV type O RNA.

1 That is what we are working on. I will give you a brief
2 introduction to Genprobe, brief introduction to our
3 technology and then some assay performance data.

4 [Slide]

5 Genprobe was established in 1984 and has since
6 become a world leader in RNA and DNA probe development. As
7 we began working on viral pathogens, we became aware of a
8 request of a proposal from NHBLI and we were awarded a
9 contract, 67130, in September of '96, to refine an assay for
10 detection of both HIV-1 and HCV RNA. That contract was
11 extended three months ago to provide reagents for pooled
12 plasma testing in the '98, '99 time frame.

13 [Slide]

14 Our strategy is to develop a cost effective, high
15 throughput and fully automated system, the TIGRIS, initially
16 for detection of HIV-1 and HCV RNA in a format compatible
17 with individual unit testing.

18 [Slide]

19 We are developing a semi-automated system for
20 interim use until the TIGRIS is commercially available in
21 the United States.

22 [Slide]

23 The assay we are developing co-detects HIV and
24 HCV. The assay objectives for the HIV portion of the assay
25 are 100 copy/ml sensitivity, analytical specificity of 99.5%

1 and, of course, able to detect infection prior to
2 seroconversion and, as we are speaking about today,
3 detecting subtypes, including the outlier type O.

4 [Slide]

5 There are three technologies used in the assay,
6 sample processing and amplification step that uses two
7 enzymes that we refer to as transcription-mediated
8 amplification and a detection step that we call the
9 hybridization protection assay.

10 [Slide]

11 I will breeze through these slides. I just
12 mentioned sample processing. It involves the processing of
13 500 microliters of sample.

14 [Slide]

15 Transcription-mediated amplification uses two
16 enzymes, produces an RNA amplicon and gives greater than
17 10^9 -fold amplification.

18 [Slide]

19 The detection uses a chemiluminescent-based probe.
20 It is a homogeneous system so you don't have to wash at the
21 end of amplification. It also includes an internal control.
22 All steps are performed in one tube, and it has a high
23 throughput.

24 [Slide]

25 These are the steps of the assay put together. It

1 takes about 90 minutes for an individual to process 200
2 specimens in the manual mode. It takes a little over an
3 hour to do the amplification. An individual can process
4 about 200 specimens in less than 6 hours, less than a shift.

5 [Slide]

6 This is the type of sensitivity data we see with
7 subtype B. We have set our sensitivity at 100 copy/ml, and
8 as we dilute a known titer virus into negative plasma, we
9 see at 300 copies/ml 100% positivity. When we go below that
10 titer copy level, 90 copies/ml, we also see 100%
11 sensitivity.

12 [Slide]

13 We were designing the assay from the beginning to
14 detect subtypes and variants. We use multiple approaches in
15 each of the steps of the assay, including consensus,
16 sequences, tolerance of mismatches and redundancy.

17 [Slide]

18 We can test the processes with RNA viral isolates
19 and infected patient specimens. This is an example of
20 looking at dilutions of an RNA that is made in vitro. At
21 the bottom we show that with an in vitro transcript
22 representing type O we have 100% positivity at 100 copies.

23 [Slide]

24 This is to remind me to point out that we have
25 obtained viral isolates and infected patient specimens from

1 around the world.

2 [Slide]

3 And as large a number as possible. Type O is
4 mentioned on top, 34 viral isolates and 19 different
5 specimens we have tested to date.

6 [Slide]

7 Here is an example of testing. This is the MVO
8 5180, and we make dilutions of the tissue culture supernatant
9 into negative plasma and the copies/ml are shown in the
10 center column. Above our target level of 100 copies/ml we
11 see 100% positivity. Also below that, at 80 copies/ml we
12 still see 100% positivity. As you go down in copy level we
13 begin to see not just a lower positivity rate.

14 [Slide]

15 Here are examples of group O isolates from Western
16 Africa. We took each viral isolate, diluted it into
17 negative plasma, determined copies/ml in an in-house assay
18 and looked at the reactivity in the RNA assay. Very
19 consistently, we see positive results above 100 copies/ml.
20 We often see it below, as shown in the second panel with 90
21 copies/ml. In the bottom sample, even at 10 copies/ml we
22 are seeing plus/minus results.

23 [Slide]

24 Here are viral isolates from France. Again, we
25 are taking dilutions of the virus. Typically, we see strong

1 positives to 10^{-6} and occasionally even further dilutions
2 are positive.

3 [Slide]

4 Here are infected specimens. Of course, it is
5 very important to look at infected specimens because tissue
6 culture virus represents a selective group. We notice a
7 broader distribution of variants. Again, we have taken
8 patient specimens. We have diluted them in normal plasma to
9 above and below 100 copy/ml cutoff, and we see positivity
10 again above the 100 copy/ml cutoff and often results
11 positive below that, as you can see on this slide, at 50
12 copies, 68 copies we have positive results and plus/minus in
13 the third case.

14 [Slide]

15 We have seen this very often today. What I wanted
16 to point out is that these are just the type O variants
17 using the gag sequences and what I wanted to point out is
18 that we have looked at representatives of type O from around
19 the phylogenetic tree or star. So, we are trying to make
20 sure that we are looking at a diverse group of type Os.

21 [Slide]

22 This is just an example of type O specificity
23 results we are obtaining with the RNA assay. We have looked
24 at a number of specimens infected with other agents. We see
25 no cross-reactivity, and we see no false-positive results.

1 [Slide]

2 We have looked at a number of normal plasma and we
3 see very low initial and repeat reactive rates, typically
4 zero percent. Actually, we have only seen zero percent
5 repeat reactive rate.

6 [Slide]

7 This just shows that we do detect RNA prior to
8 seroconversion. In this panel, at day 30 the individual was
9 positive for antibody, one bleed before positive for
10 antigen, and one bleed before positive in our assay.

11 [Slide]

12 This is a case where the antibody was positive on
13 day 15. Antigen never became positive. RNA was positive
14 three bleeds before the antibody. Another thing I would
15 like to point out about this is that the quantitative PCR
16 result indicated that that first bleed that was positive was
17 only 200 copies/ml. It is important to drive the
18 sensitivity of these assays.

19 [Slide]

20 So in conclusion, I think we have demonstrated
21 that we have reached our target sensitivity goal for type B,
22 100 copies/ml, and we used the definition of 95% positivity.
23 Analytical specificity is greater than 99.5%. We have
24 demonstrated HIV subtype detection. I haven't gone into a
25 lot of detail with the type M variants but we have detected

1 a diverse group of type Os.

2 [Slide]

3 We can detect HIV prior to antibody detection, and
4 the format has a turnaround time and throughput appropriate
5 for blood bank applications, and automation of this system
6 is under way.

7 [Slide]

8 Here are our collaborators.

9 DR. HOLLINGER: Thank you very much. The next
10 presentation is by Calyptebiomedical. If you could state
11 your name too, we would appreciate it.

12 DR. URNOVITZ: Thank you. I am Dr. Howard
13 Urnovitz, with Calyptebiomedical, and I thank the Committee
14 for letting us speak.

15 [Slide]

16 This is the introduction slide. I am going to
17 tell you about some stuff so let's move on.

18 [Slide]

19 The bottom line is that we are quite proud to be
20 actually part of the discovery, the first group in France
21 with Prof. Luc Montagnier of the Pasteur Institute, and we
22 were studying two patients with idiopathic CD4 T-
23 lymphocytopenia, mysterious AIDS cases without HIV, and it
24 turns out one of those ended up to be the first French group
25 O isolate VAU.

1 [Slide]

2 This is the paper that designated the type O with
3 Montagnier's group and his collaborators.

4 [Slide]

5 What is interesting to note and the reason even to
6 look at this is this woman did not fit the criteria of an
7 HIV infection but she had AIDS-defining illnesses, severe
8 leukoneutropenia, cervical carcinoma, got opportunistic
9 infections, very low CD4 cell depletion and died. The most
10 interesting thing to note is that her second son, in 1980,
11 died at the age of one with a clinical history highly
12 suggestive of neonatal AIDS. They could not get a positive
13 serology on this until they ran the urine test.

14 [Slide]

15 Here you can see. We presented this actually in
16 1992 when there was quite a bit of discussion about ICL
17 patients. This one was the group O and here they note the
18 lack of serum antibodies to HIV envelope but, nonetheless,
19 the recent data showed a marked reactivity to gp160 in the
20 patient's urine.

21 [Slide]

22 This is the slide that referred to the second
23 abstract, which I can give you after the meeting, in which
24 the urine samples were positive. I think it is more
25 important just to look at the data.

1 [Slide]

2 This is from patient VAU, the first French group O
3 isolate, back in 1992. Here are the controls in this area,
4 here. This is urine positive; urine negative; and serum
5 positive. This is 1990. This was so confusing to the
6 French group that here, in early November 1990, December
7 1990 and February 1991, and February 1992 -- the reason they
8 did this in duplicate was did the envelop band not come up
9 in this patient? So this was done for three years, still
10 always showing the same indeterminate results. This is a
11 diagnostic Pasteur's Western Blot.

12 The critical thing is that when Montagnier was
13 looking our test, then experimental, he did run urine on
14 this individual and it was quite strongly repeat reactive in
15 the EIA. We then ran the same Western Blots and did urine
16 in blood, and here you can clearly see that the gp160 band
17 is present on the same day, same draw as was the serum,
18 showing that, in fact, the antibodies are in the urine in
19 this first case of group O. That is what then led them to
20 bring her back in and to truly isolate group O. So, we were
21 glad to assist in that.

22 [Slide]

23 You have heard of the two U.S. samples of group O,
24 the Los Angeles, which has been difficult, HIV infection not
25 detected consistently by standard HIV serology. We did not

1 detect her either in urine nor by the supplemental test.
2 But the woman that is in Maryland, who is of Cameroon
3 background, positive HIV serology, she was very reactive,
4 over 3.0 in our EIA. Standard antigen, just gp160, down.
5 Then we followed up with the supplemental test and we got
6 all the bands. The good news is that even a year later we
7 can still see the criteria, which is gp160.

8 [Slide]

9 This is the plasma of the group O that is the
10 positive control, and this is her corresponding urine here.
11 For those of you who were fortunate to get in early and get
12 the good seats, you can see that there are bands here also.
13 They have faded a year later. She was clearly and very
14 strongly gp160, stronger than the intensity criteria.

15 [Slide]

16 So, what is the scientific basis? I know I have
17 shown this exact slide here two years ago this month, and I
18 must tell you that we are all mystified why antibodies would
19 be in urine and not in blood, and I must tell you that these
20 last six months have given us some great insight. I will
21 talk about compartmentalization of HIV and the variation of
22 chemokine receptor expression in different tissues.

23 [Slide]

24 We published this in 1993, seven patients from our
25 clinical trials that were all non-reactive in blood tests,

1 several different blood tests; reactive in our urine
2 screening test EIA, and then showed blot pattern that were
3 inconsistent with the serum, discordant if you will. Often
4 one, two, three examples had no antibodies in the serum
5 supplemental test but had sometimes all the bands or the
6 majority of the bands in urine. Some were unexplained
7 cases, like this woman with Hodgkin's disease, but some were
8 HIV at risk or HIV sexual partners.

9 So, it led us to believe then that the immune
10 response in these individuals suggested that there may be a
11 compartmentalized response from the immune system to these
12 individuals, and we saw seven there.

13 [Slide]

14 Dr. Ann Kiessling, at Harvard, did this elegant
15 study, just published in 1998 AIDS Research and Human
16 Retrovirus, human HIV in semen arises from a genetically
17 distinct reservoir. Quite an elegant study. Eight
18 individuals were looked at, and what they found, just for
19 brevity, was that findings confirm the distinct
20 compartmentalization of HIV in semen. In other words, the
21 virus that they pulled out of semen was completely different
22 from the virus that they pulled out of blood.

23 [Slide]

24 The most interesting thing then is -- I hope you
25 have not seen this. This will be coming out in August, and

1 Dr. Bruce Patterson in Northwestern was kind enough to allow
2 me to show you the data in this forum. This should be out,
3 as I said, in August. The title of the paper is "Repertoire
4 of Chemokine Receptor Expression in the Female Genital
5 Tract: Implications for HIV Transmission." It is quite
6 interesting and, in fact, makes a lot of sense.

7 If you look at the peripheral blood mononuclear
8 cells -- these are women. They go in and take vaginal
9 biopsy. They compare the lymphocytes, the white blood cells
10 they have in the biopsy versus the blood cells from that
11 individual, and compared a number of individuals.

12 If you look in the blood cell, here you can see
13 CXCR4, which is the T-cell trophic chemokine. If I have 100
14 relative units here, you can see that the CCR5 is about 1.5
15 less. This is T-trophic; that's macrophage-trophic. But
16 you see a completely different result in the ectocervix.
17 the M-trophic chemokine receptor is 10-fold more than the T-
18 cell trophic, and 20-fold and 100-fold more than the
19 duotrophic in the other macrophage, which suggests strongly
20 that the mucosal tissue, with its different patterns of
21 chemokines may, in fact, support the growth of variants more
22 than the M strains. Those are thoughts to date. We are
23 looking for other confirmation. We understand that Dr.
24 Kiessling has also confirmed this finding.

25 [Slide]

1 So, how extensive is the occurrence of urine
2 positives, serum negatives serum indeteriminates?

3 [Slide]

4 We have published this in Nature Medicine with my
5 colleagues, Drs. Gottfried and Sturge. The bottom line is
6 when we were given the results of the Western Blots and then
7 decoded them for urine or blood, we found that roughly 1% of
8 the HIV positives that we looked at were urine positive,
9 blood negative. The actual number is about 10 urine
10 positive, blood negatives out of 1,181 HIV positives. The
11 blood test got 15 that the urine test missed, which led us
12 to conclude that perhaps, while we are still trying to
13 figure out why there are urine positives, blood negatives,
14 we suggested that the combination of both urine and blood
15 tests could increase the sensitivity because the blood tests
16 are very good.

17 [Slide]

18 This is what gave us concern. Dr. Sordillo, at
19 Roosevelt St. Luke's published this in 1997, last year.
20 This is really what concerns us the most, the fact that if
21 somebody was, in fact, repeatedly reactive on our EIA; urine
22 Western Blot was positive by our criteria, supplemental
23 test; serum was nonreactive at Quest Labs. This was done
24 independently. We ran it at Calypte and we couldn't get a
25 result. IDL did get a repeatedly reactive. However, IDL

1 did not get a positive. It was an indeterminate. Quest
2 could not get any bands at all on their test. We had
3 indeterminates on ours. However, this patient was p24
4 repeatedly reactive at Quest. The HIV DNA was detected, and
5 this is HIV RNA quantitative, 46,000 copies/ml of virus in
6 the viral load test. So, urine positive, blood negative and
7 now a second example where virus can be detected, the first
8 one being group O VAU.

9 [Slide]

10 Therefore, our concerns are, given these new
11 insights into HIV pathobiology of HIV compartmentalized
12 reservoirs that HIV seems to favor some tissues over others
13 in the same individual; the tissue variability of chemokine
14 receptors -- then my concern is have we created a detection
15 system that favors HIV variants with limited associated
16 serum antibodies?

17 [Slide]

18 Therefore, our strategy for detecting HIV group O
19 is simple. We are going to screen both blood and mucosal
20 fluid because our concern is we are finding people and
21 working them up based on their blood reactivity, however, we
22 feel that there are a number of variants that don't become
23 blood positive. We will co-screen. Right now, five sites,
24 four in New York and one in San Francisco, that will in fact
25 look for discordant samples and then from there work up the

1 viruses and see if there is an American group O, and
2 evaluate on our existing gp160 as well as other group O
3 peptides.

4 [Slide]

5 Our other concern is that while we look to the
6 government to give us insight in which way to develop tests,
7 we hope that they realize that federal mandates need to
8 address the intellectual property. If these are really
9 public health issues, we would hope that the legislature
10 would make it available for small, little companies like
11 ours to be able to have access to sublicenses.

12 We are concerned about access to patients. We
13 would prefer to see a central coordination for samples,
14 private or public. We don't care. Then, where is the
15 ceiling? What is the federal plan for addressing emerging
16 variants? Are we just going to make these tests every time
17 there is a variant, or are we going to address it more from
18 the pathobiology of the disease rather than prevalence?
19 And, I thank you.

20 DR. HOLLINGER: Thank you very much. The next
21 presentation is going to be by Organon. Is someone from
22 Organon --

23 ORGANON REPRESENTATIVE: There has been a mistake.
24 We are not presenting.

25 DR. HOLLINGER: Not presenting? Sorry about that.

1 Then the last presentation is by Roche Molecular Systems.
2 It doesn't mean because they didn't present you get 20
3 minutes.

4 [Laughter]

5 It is sort of like we tell our patients that are
6 drinking and have liver disease, we tell them "you can have
7 one beer a day." That means, you know, if you don't drink
8 from Monday to Friday you can have six beers. It is still
9 one beer a day. So, it is the same thing here.

10 [Laughter]

11 DR. HERMAN: Thank you, Dr. Hollinger. I won't
12 amplify my slides. I will just keep the same number.

13 [Laughter]

14 [Slide]

15 My name is Steve Herman. I am from Roche
16 Molecular Systems, and I am going to discuss recent work on
17 the development of an RT-PCR assay for HIV-1 group O. The
18 work I am going to present was conducted by Karen Young and
19 her group in our research department in Alameda.

20 [Slide]

21 As everyone here is aware, the sequence diversity
22 of HIV-1 group O isolates, both within the group and
23 compared to group M, pose additional challenges in design of
24 PCR assays compared to organisms with more conserved
25 genomes.

1 Our design objectives for detection of HIV-1 group
2 O are to achieve efficient amplification and detection of
3 all group O isolates in an assay that detects both group M
4 and group O isolates with high sensitivity.

5 [Slide]

6 Our initial efforts focused on developing a single
7 primer-pair for both group M and group O isolates. At that
8 time the number of pol gene sequences from the various HIV-1
9 subtypes was very limited, especially for group O.
10 Nevertheless, we focused on the pol gene, believing it
11 likely to be more highly conserved than gag.

12 A candidate primer-pair was developed that
13 targeted the most conserved region in pol based on the
14 limited sequence information available. Our initial
15 evaluation of the primers on 5 group O isolates was
16 promising. All were detected. However, initial evaluation
17 on group M isolates of various subtypes was disappointing.
18 Several African isolates were not detected, and the
19 efficiency of quantitation was reduced.

20 Sequencing of the pol gene target region of
21 additional group M isolates revealed greater sequence
22 diversity than we had expected. We concluded that even in
23 the pol gene the overall sequence diversity of group O and
24 group M was too large to develop a single primer-pair for
25 all HIV-1 isolates. So, we decided to develop separate

1 primer-pairs from group M and group O, and to work in the
2 gag gene where much sequence information was available.

3 [Slide]

4 So, we have now developed several candidate group
5 O primer-pairs in the gag gene. We selected a highly
6 conserved region of gag, and designed primers with 3 or
7 fewer mismatches with all of the known group O sequences.
8 Based on primer target mismatch studies that examined the
9 effects on PCR at various numbers and positions of
10 mismatches, primers with 3 or 4 mismatches are expected to
11 yield efficient and equivalent amplification.

12 In the next few slides I will present the results
13 on one primer-pair from our initial evaluation of candidate
14 group O primers.

15 [Slide]

16 These results show that the candidate group O
17 primer-pairs amplified all group O isolates tested with a
18 sensitivity of 10 copies per reaction, but had little or no
19 reactivity with group M isolates. The group O primer-pair
20 overlaps with current group M primer-pair but has no effect
21 on amplification of group M isolates and reactions
22 containing both the group O and group M primer-pairs. A
23 candidate group O hybridization probe has also been designed
24 and evaluation is in progress. However, in the studies I
25 will describe today the amplification reactions were

1 evaluated by gel electrophoresis.

2 [Slide]

3 We evaluated the performance of the candidate
4 group O primers on 10 group O isolates, including 5 newly
5 obtained isolates whose sequences were not available when
6 the primers were designed. From each isolate a gag gene
7 fragment was cloned into a transcription vector, and RNA
8 transcripts were prepared and quantified. Serial dilution
9 of the transcripts at 1000, 110 and 1 copy per reaction
10 where then amplified and analyzed by gel electrophoresis.

11 [Slide]

12 This slide shows the results from amplification of
13 1000 copies of each transcript RNA. Each isolate was
14 analyzed in duplicate, and you can see that each isolate was
15 detected in both reactions except for isolate TI191 where
16 one reaction was negative. This appears to be an
17 experimental error since both duplicates at 100 and 10
18 copies per reaction were positive for this isolate.

19 The next two slides summarize the results of all
20 the isolates at all three RNA concentrations tested.

21 [Slide]

22 This slide shows the results on 5 of the isolates.
23 The remainder are on the next slide. At 1000 and 100 copies
24 per reaction both duplicate amplifications were positive for
25 all five isolates except, as I just said, for isolate TI191.

1 At least 1 of the duplicate reactions was positive for all
2 isolates at 10 copies per reaction, and 1 isolate yielded a
3 positive result at 1 copy per reaction.

4 [Slide]

5 Here are the results on the remaining 5 isolates.
6 Again, both duplicate reactions were positive at 1000 and
7 100 copies per reaction, and at least 1 of the duplicates
8 was positive at 10 copies per reaction.

9 Please note that these results were generated with
10 RT-PCR reactions that have not yet been optimized for the
11 candidate primer-pair. With optimization of the reaction
12 conditions and the thermocycling profile we anticipate
13 achieving a sensitivity of 1 copy or nearly 1 copy per
14 reaction.

15 [Slide]

16 The study shown on this slide and the next was
17 done to evaluate the performance of RT-PCR reactions
18 concerning both the group O and group M primer-pairs. And,
19 10 copies, 1000 copies and 100,000 copies of a group M
20 subtype B RNA were amplified with the group O primers alone,
21 the group M primers alone or both the group O and group M
22 primers together.

23 The group M primer amplify the group M target RNA
24 and yield the expected 173 base pair amplicon, indicated
25 here by the arrows. The lower band in this gel is target

1 independent primer artifact occasionally observed in PCR
2 reactions.

3 The group O primer did not amplify with the group
4 M RNA, as indicated by the absence of 173 base pair band.
5 However, the reactions containing both the group O and group
6 M primers had an equivalent yield with reactions containing
7 only group M primers, indicating that the group O and group
8 M primers do not interfere with each other.

9 [Slide]

10 This slide from the same study shows the results
11 obtained with the group O target RNA. Again, with the group
12 O target RNA amplification with the group O primers yielded
13 the expected band of 173 base pairs, and in a 10 copy
14 reaction that doesn't appear too visible on this slide.
15 Group M primers had no reactivity on the group O isolate,
16 but the 2 primer-pairs together had equivalent efficiency to
17 the O primers alone.

18 [Slide]

19 In summary, we are actively working to develop new
20 RT-PCR assays that will detect all group M and group O
21 isolates with high sensitivity. Although it was initially
22 thought that the pol gene is more highly conserved than gag,
23 the pol regions that we examined have not been sufficiently
24 well conserved across group M and group O to develop a
25 single primer-pair for all HIV-1 isolates. Therefore, we

1 are developing a separate primer-pair from group O and are
2 working in the gag region where more sequence information is
3 available.

4 Preliminary results with the candidate group O
5 primer-pair in gag are very promising. All group O isolates
6 examined were detected with a sensitivity of 10 copies per
7 reaction, and the group O primer-pair can be combined with
8 the group M primer-pair in the same reaction. By designing
9 RT-PCR reactions for HIV-1 that contain 2 or more primer-
10 pairs, we anticipate achieving high sensitivity and
11 equivalent quantification of all HIV-1 isolates in both
12 group M and group O.

13 Perhaps the biggest challenge in achieving this
14 goal is to understand the full extent of HIV-1 diversity,
15 which requires the identification and characterization of
16 HIV isolates of all subtypes and groups worldwide.

17 [Slide]

18 So, I will conclude with this slide that lists the
19 investigators with whom we are working to obtain HIV-1
20 isolates from around the world. Thank you.

21 DR. HOLLINGER: Thank you very much.

22 DR. SMALLWOOD: We will go into closed session.

23 For the general public, you may return to this room at four
24 o'clock. At this time, I would like all FDA employees who
25 will be attending the closed session to remain seated, and

1 those sponsors and any of their identified guests to also
2 remain seated. All other public participants are asked to
3 leave the room quietly. Please take with you any briefcase
4 or electrical recording, or any other type of electronic
5 equipment. We will be checking.

6 [Closed Session]

7 **Open Committee Discussion**

8 DR. SMALLWOOD: Dr. Hollinger, whenever you are
9 ready.

10 DR. HOLLINGER: Yes, this is now the portion of
11 the meeting that is the open Committee discussion, but there
12 are still two individuals that are going to provide some
13 more information I think. Is that correct? No. That has
14 been changed.

15 If we could have the presentation of the questions
16 again at this time to the Committee, I would appreciate it,
17 and then we will open the discussion.

18 DR. KOCH: To review then the question posed to
19 the Committee, with regard to rapid tests used in diagnostic
20 settings, should FDA relax its current policy to require as
21 a condition of approval that all new tests for antibodies to
22 HIV-1 have demonstrated ability to detect HIV-1 group O?

23 DR. HOLLINGER: Thank you. It is open now for
24 discussion regarding the issues which are related to rapid
25 screening tests basically. Yes, please, anyone? Yes, Dr.

1 Ellison?

2 DR. ELLISON: The phrase a "global village" was
3 used earlier and I think it is unrealistic to expect that we
4 are not going to see more of this, and I think to not
5 require that be part of the test would be wrong.

6 DR. HOLLINGER: All right. Yes, Bill?

7 DR. MARTONE: I just want to review the definition
8 of a rapid test.

9 DR. HOLLINGER: If there is a definitive
10 description of a rapid test -- does the FDA have a
11 description of what a rapid test is, or is it just quicker
12 than a slow test?

13 [Laughter]

14 I suspect it is a test that can be completed while
15 a person is there, in terms of minutes rather than hours,
16 but there must be a more specific definition.

17 DR. EPSTEIN: We don't have a legal definition. I
18 think operationally tests that are being performed in 15
19 minutes or less have been categorized that way. They also
20 tend to have qualitative readouts, require minimal operator
21 training, minimal sample processing, and typically they have
22 been based on certain kinds of technologies that lend
23 themselves to such use, such as immunoconcentrator systems,
24 latex cards. In other words, it is really a set of
25 technologies that involve minimal process execution by the

1 operator and on the spot result.

2 DR. HOLLINGER: Okay, thank you. Yes, Dr. Nelson?

3 DR. NELSON: It is a little tricky because
4 obviously there are some public health problems involved
5 here. One is detecting all of the genetic variants, new
6 viruses, etc., and it is important that the test be quite
7 sensitive to do that. On the other hand, it is also very
8 important that it be quick or rapid. Dr. Branson presented
9 data from STD clinics and other places where a lot of people
10 didn't come back and, therefore, didn't get the benefit of
11 the result of the test and might have even had an erroneous
12 impression of what it was. Even in the blood bank setting,
13 I can say that there are people who have to leave the blood
14 bank who have a positive test, and in one blood bank that
15 amounts to nearly a thousand donors a year. They can only
16 find 70% of them. So, the benefit, even if there were
17 diagnostic error, even if a few were group O positive and
18 weren't detected, might be outweighed, at least for the
19 moment, by notification and counseling of those who were
20 detected. So, you know, I see a tension here.

21 Also, the other issue too is that we do need a
22 test, and several of the manufacturers have shown data that
23 there have been substantial developments to comply with the
24 FDA mandate, and I think that is important, and they have
25 been very successful, many of the data suggest that they

1 have. If we vote to relax this, does that mean that for
2 group O, if it costs more money to make these tests -- you
3 know, if one test can be licensed without that
4 specification, does that mean all of them, or if they can be
5 made more cheaply? Or, will this inhibit development of the
6 best products? Those are the issues that I see.

7 DR. LINDEN: I agree with Dr. Nelson. I think
8 from a public health standpoint it is really desirable to
9 reach some of these populations that are very difficult to
10 reach, and I think it is more important to reach people than
11 to necessarily have all the bells, whistles and Cadillac
12 version that may not be possible to address what are
13 apparently very, very rare occurrences in this country. I
14 think we want to move towards addressing the very rare
15 variants. It seems to me that addressing the group O could,
16 in a way, be done in counseling since most of the cases we
17 heard about seem to be associated with travel to, or sexual
18 contact or, you know, potentially sharing needles with
19 people from Africa. People, you know, could be specifically
20 counseled that there is a risk that would necessitate use of
21 a different test, but there does seem to be cross-reactivity
22 and I think we need to look at the big picture. So, I think
23 this might be helpful.

24 DR. MCCURDY: It seems to me that one of the
25 critical questions is whether we should have a test for

1 blood screening that is qualitatively different from the
2 test that is being used diagnostically. I mean, there are a
3 lot of situations where that may be all right. I think one
4 has to consider very carefully, otherwise you have two
5 levels of tests that are available in the community in one
6 place or another and the patients or testees may make some
7 decisions as to where they go based on what they think is
8 the better test.

9 MS. KNOWLES: I agree with Dr. Linden in terms of
10 the counseling that really needs to be addressed, and with
11 our current system we have people return, hopefully, in
12 person to get the test results and people don't, but the
13 bottom line is that post-test counseling session is another
14 educational intervention, and I think what needs to be
15 thought about at some other point, maybe with some other
16 committee, is how to actually improve the counseling piece.

17 DR. DUBIN: I am going to wear two hats in this
18 one because the other hat that I wear is as one of the co-
19 chairs of California's Community Planning Working Group. We
20 wrote the State's HIV prevention plan under the CDC
21 cooperative grant. We have what CDC calls the best
22 counseling and testing program. I think this particular
23 question creates a bit of a dilemma. As Dr. McCurdy just
24 said I think is important, setting almost a class
25 stratification in testing.

1 At the same time, with all our success in
2 California, a rapid test would be a big step forward in
3 populations we are having trouble with, that we are not
4 getting the return visit to get the result, period. We have
5 been wrestling with this question over the last three
6 meetings of a group of 50 people from all the communities,
7 doing interventions on the ground.

8 The counseling is another intervention, but the
9 question of whether or not you are going to get answers that
10 would indicate risk for O, I am not so sure given our
11 experience. I think ideally we want the same test, whether
12 it is in the environment of a blood bank or in the
13 environment of an STD or community clinic. However, as Dr.
14 Linden said and I think Dr. Nelson was saying the same
15 thing, there are serious gains to be considered from a rapid
16 test.

17 My concern is if we lower the standard, the
18 manufacturers have a cheaper way to get to the marketplace
19 that may not be the most efficient in terms of an equal test
20 that gets O, and if we want to move them towards a rapid
21 test that also is sensitive, I am not sure this decision can
22 do that. I mean, I am quite torn between these two roles
23 because I see the benefit but I think Dr. McCurdy hit it on
24 the head. And, then you look at this week's U.S. News &
25 World Report where a doctor allegedly is quoted as saying,

1 in the hepatitis C article, if you want to get a cheap hep.
2 C test go to a blood bank, which is beyond belief that that
3 would be said. My guess is no doctor said that, that came
4 out of some reporter's drawer. But the point being that is
5 an example. This is a tough one. I mean, I would lean
6 towards taking the benefit but after voting that way I would
7 go home thinking for many days whether or not I made the
8 right choice.

9 DR. HOLLINGER: It is interesting, we have heard a
10 lot of talks here today but really only one from a rapid
11 test and, yet, that is the question that we are asking to be
12 dealt with here.

13 MR. DUBIN: Right.

14 DR. HOLLINGER: Although Dr. Constantine did show
15 that there are a lot of rapid tests at least in Europe or
16 other places. It is something that one has to deal with and
17 find out. Dr. Boyle?

18 DR. BOYLE: In looking at the numbers that were
19 presented, the rapid test strategy probably will improve
20 detection of about 8000 positive cases who, having been told
21 they are positive, hopefully, will not be coming in to
22 donate blood, and I am looking at this from the standpoint
23 of blood supply. Without the requirement of O testing,
24 there will be a group -- we are talking about a handful but
25 it will grow over time -- who will not be detected there,

1 may come in to give blood but then will be tested with the
2 current blood bank tests which, in fact, do require the O.

3 From the standpoint of improving the protection of
4 the blood supply, it seems to me the rapid test works in
5 that direction. What I am not clear on is why, if we do
6 have one licensed rapid test, it isn't in place and that
7 8000 difference requires a change in the licensing strategy.
8 So, I like the rapid test but what I am not clear on is if
9 there is a licensed test and it does deal with O, why is it
10 necessary to relax the strategy to get more? It seems to me
11 it is more important to get that out and whatever other ones
12 that follow it. That is my question.

13 DR. MITCHELL: I have also performed counseling
14 and testing in a number of urban communities -- people of
15 color, injection drug users, gay men -- and I think that it
16 is very, very important that we provide some information at
17 that time. It is difficult to get people back, particularly
18 those that are at risk.

19 Since there is one test out there, then I think
20 that that should be promoted. I mean, it does cover a lot
21 of O groups. It looks like they are saying that in Europe
22 there are many other tests that also cover most O groups,
23 and it sounded like there is a question about even the
24 samples that it doesn't cover, as to whether those are in
25 the seroconversion phase and whether they would have been

1 detected hours, days, months later.

2 On the other hand, if we don't keep the same
3 standards, then you are going to be missing a lot of O and
4 we are going to selectively promote the spread of O
5 diseases, and I think we can't afford to do that. So, I
6 think that the FDA should keep the standard that it has. I
7 think that we need to make sure that the samples that are
8 tested for O are, in fact, positive for O, and we need to
9 maintain the current standards so that we can prevent the
10 spread of variations in the U.S.

11 DR. HOLLINGER: Are you concerned at all about --
12 or do you think it may be an advantage or a disadvantage,
13 the fact that there are a lot of false positives so you
14 would be counseling people -- and I would like you deal with
15 that because you talk with these people -- that you would be
16 giving somebody a response and, of course, then you could
17 only say that it is positive, but it does trigger a lot. I
18 mean, I have been in that situation where you are talking
19 about somebody who has a positive and it takes a lot of
20 undoing to get around it because they don't understand the
21 concept.

22 DR. MITCHELL: Yes, I think that where the
23 expertise has to be, in how to tell people. But I think
24 that it is actually essential that you say that this test
25 came up positive; we are going to have to reconfirm this

1 test. If it is negative, then you say it is negative. If
2 it is positive, you say that, you know, there is a 50% or
3 80% chance that you may be positive. We will be confirming
4 that and getting back to you. They are much, much more
5 likely to come back if you say that to them. So, I think
6 that that makes a big, big difference and that is how it
7 should be addressed.

8 DR. HOLLINGER: Come up and join us.

9 DR. CHAMBERLAND: Do I get to eat a cookie?

10 [Laughter]

11 DR. HOLLINGER: No, you can't have a cookie. We
12 won't go that far. Mary Chamberland is from the CDC and is
13 a guest of the Committee.

14 DR. CHAMBERLAND: Just a couple of thoughts based
15 on some of the comments, although I wasn't privy to sitting
16 in on the closed session as a guest of the Committee, my
17 understanding from the data that were presented in the
18 public session and just general knowledge is that the
19 concerns about the two-tier testing system -- my
20 understanding is that the rapid tests that are out there are
21 actually very good. It is not as if there is a big chasm
22 between what is used in a blood screening and what might be
23 used in a diagnostic clinical setting. So, the rapid tests
24 that might be out there are actually good.

25 I think the idea of trying to relax standards with

1 respect to group O in the diagnostic clinical setting is
2 that it actually would enable these tests, as you mentioned,
3 from Europe to come to the FDA and give us the opportunity
4 to have more than one test potentially out there in the
5 market. If we have more than one rapid assay on the market,
6 then wouldn't we be able to take advantage of, like, a two-
7 test strategy, have two rapid assays, the algorithm that is
8 used in developing countries.

9 I guess the final thought is we are dealing, as I
10 think Jeanne Linden mentioned, with a very rare occurrence.
11 Yes, it is evolving and changing but, I mean, in more than
12 15 years 2 group O isolates. So, I mean, we need to keep
13 that in mind too.

14 DR. HOLLINGER: All right. Mike, I won't forget
15 you but I want to go through the Committee right now. Is it
16 something that is being dealt with here?

17 DR. BUSCH: Well, the discussion has avoided
18 discussion of HIV-2. The fact is that virtually all the
19 diagnostic tests that are used out there is, quote, because
20 they are much less costly and because you don't have to do
21 the confirmatory for 2, almost all diagnostic testing
22 currently is done with HIV-1 lysate type assays, and we have
23 probably at least 50 or 60 HIV-2 infections found in this
24 country. So, all the discussion about missing Os is sort of
25 trivial compared to the fact that the current tests that are

1 being used in diagnostic settings are missing HIV-2s, and
2 the discussion is specifically on HIV-1 assays. If the
3 debate is on this, then why isn't the CDC and the country as
4 a whole moving towards exclusive use of combi tests? And
5 those same tests that are used out there also lack the
6 window phase sensitivity because they are not third-
7 generation assays. So, in the diagnostic setting we are
8 missing 2s, we are missing window period in general. The O
9 issue is trivial.

10 DR. STRONCEK: I was struck by the discussion this
11 afternoon by how much progress has been made in HIV testing
12 that is used for donors. I can't argue that rapid tests
13 aren't important, but I would think exactly the group of
14 patients that we are testing with the rapid test are where
15 we would expect to see the variants in the HIV first, and I
16 think that would be an important public health question, to
17 see if different strains are coming into the country.

18 I think, yes, one solution would be to loosen the
19 standards and that would make more tests available, but I
20 don't think that is the right solution. I think it is
21 important that we maintain integrity of testing, both
22 diagnostic and for blood donors, and maybe there is some
23 other way to entice manufacturers to improve the
24 availability of good tests for the rapid tests.

25 DR. HOLLINGER: I would like to ask the FDA,

1 somebody at the FDA to respond to what Dr. Busch asked
2 because you have required that of the licensed tests which
3 are currently out there, the EIA tests, that they detect not
4 only HIV-1 and HIV-2 but now group O. Are we to interpret
5 that you are making an exception here with the rapid test,
6 that it not only do well with HIV-1 but that you want to add
7 group O as a possibility since that is what you are
8 requiring for these other tests? You really haven't said
9 anything about HIV-2. Could you respond to that so that we
10 can see if we have to deal with that as far as the question?

11 DR. EPSTEIN: Well, that debate occurred in 1992
12 when we first approved HIV-1/2 combi tests, although at that
13 time it was not a public health policy position to encourage
14 the use of rapid tests and the advocated paradigm was still
15 to have the test subject come back after performance of
16 confirmatory testing to be notified.

17 The issue did arise whether public health testing
18 should include HIV-2 screening as a routine. The posture
19 that was taken, based on the very low prevalence of HIV-2
20 and the very low rate of rise of HIV-2 infections in the
21 U.S., was that that was not necessary. Instead, what should
22 be done was HIV-2 testing based on whether the individual
23 had risk factors for HIV-2. That is still the current
24 policy position of the CDC.

25 Mike Busch is absolutely correct in his

1 description of what is done for public health diagnostic
2 testing. FDA's role in that has been to allow claims for
3 HIV-1 only tests and to continue to improve them for
4 diagnostic indications but not donor screening indications.
5 So, yes, we are simply dealing with a second case of a
6 variant at low prevalence in the population, and we are
7 asking whether to go down the same pathway. The difference
8 is that in the wake of the discussions that we had in 1996
9 about HIV-1 group O, there was a recommendation that all new
10 tests approved should have group O sensitivity because of
11 the increased concern about the rapidity with which new
12 variants might be introduced in the United States, and FDA
13 took the action of sending letters to the IND holders and
14 the current holders of approved tests, advising them to
15 include group O antigens for any tests that might be
16 approved in the future by the FDA. There was no effort to
17 remove tests from the market because that would, of course,
18 be doing some harm.

19 So, the difference here is that for HIV-2, our
20 policy for diagnostics, not indicated for blood screening,
21 has not been to require HIV-2 sensitivity but to monitor it,
22 to ask the companies to test HIV-2 sera and to report the
23 cross-reactivity of their tests and allow the marketplace to
24 choose. But that was within the confines of the umbrella
25 PHS policy, which was to test for HIV-2 in the public health

1 arena only based on risk factors.

2 DR. HOLLINGER: Yes, but I think from what even
3 Dr. Boyle has said, I can understand the problem here. As I
4 think you indicated, one could test for HIV-1 then and the
5 small amount of group Os that are there are not going to be
6 a problem -- may be a problem but should not be a problem
7 for the donor screening. That will be picked up in the
8 donor screening anyway.

9 DR. EPSTEIN: Well, I think it is important to
10 distinguish the blood safety issue from the public health
11 testing issue. Part of the purview of the Committee is
12 dealing with retroviral diagnostics and, although the name
13 of the Committee is Blood Products Advisory Committee, the
14 fact is that our group at FDA is responsible for retroviral
15 diagnostics generally. So, we are here asking a public
16 health question. I would tend to agree with Dr. Boyle that
17 as long as donor screens are kept sensitive for the viral
18 variants we are not compromising blood safety. It does
19 trouble me to have different standards for public health
20 testing and donor screening, but if there are any
21 differences to be tolerated at least there has been a
22 general sense that we want the highest standard possible to
23 apply to donor screening. And, that is not on the table to
24 be compromised. That question is not being asked, and there
25 is no intention by FDA to relax the expectation that new

1 tests shall be sensitive to group O.

2 DR. BOYLE: Could I just ask a pragmatic question
3 about the two-test scenario? You have two tests on the
4 market now. Is the second test to be used in the same
5 setting only for positives so you don't have to come back
6 for confirmation? Or, is it two tests of everybody to
7 eliminate false negatives? What is the intent? Because it
8 is going to impact upon cost and a number of other things.

9 DR. HOLLINGER: Mary, did you want to respond to
10 that?

11 DR. CHAMBERLAND: I was actually going to ask
12 Bernie Branson because he is more familiar with what is
13 done.

14 DR. BRANSON: Our expectation for the two-test
15 scenario would be to use the second test to improve the
16 predictive value of positives, which is to reduce the number
17 of people who would be given a false positive. We have not
18 advocated eliminating a confirmatory strategy. The issue is
19 that in many settings there has been a reluctance or a delay
20 of implementing the rapid test is available because of the
21 concern in some settings of a 50% or 60% predictive value
22 that too many people would receive a positive result. So,
23 we were not advocating using two tests on everybody in order
24 to reduce negatives.

25 DR. BOYLE: Then let me ask a follow-up question.

1 The first test is going to be used using these numbers on
2 two million people. If you are using the second test only
3 on positives, it is only going to be used on 36,000. If you
4 are bringing on a new test kit, if you will, or test and its
5 market is only 36,000, is this something that people
6 realistically are going to want to do, or are you going to
7 find yourself in a position where for cost purposes
8 potentially you can reverse the roles, and the 0 that is out
9 there already if it is more expensive, and I don't know if
10 it is, but if it is do you end up substituting a test for
11 cost purposes?

12 DR. HOLLINGER: If I understand, I mean, basically
13 these two tests would compete in the market for anything
14 else. So, a person would choose those tests either way. It
15 is not just to say we are going to market this so we can
16 confirm or validate, if you will, previously positive tests.
17 They will be competing for each other, and you will say if
18 we get this positive then we will use the other test to try
19 to validate, if you will a false positive. There are some
20 issues with that validation because they may be detecting
21 the same thing but there are some benefits to that. Yes, go
22 ahead, Dr. Buchholz.

23 DR. BUCHHOLZ: I am getting a little confused. As
24 I have understood and listened to the conversations, it
25 seems like there are two issues here. It is clearly

1 desirable to have a rapid test and we have some issues with
2 false positives. But, I mean, the implication that I have
3 picked up a couple of times is that the false positives are
4 due to the fact that the test has group O detection
5 specificity, and I don't think that is the case. If that is
6 not the case, why is the false-positive issue an issue at
7 all in the discussion of whether a test should have O
8 specificity or not. It seems we are taking some facts and
9 kind of commingling them and then saying, gee, because of
10 this there is a problem, which seems to me to be a separate
11 issue. So, if I am in error can somebody correct me?

12 DR. EPSTEIN: You are correct that the issue of
13 specificity is not linked to the issue of group O
14 sensitivity. The link in these issues is whether FDA should
15 relax the approval standard for rapid tests so as to foster
16 the development of additional rapid tests which, if they
17 entered the marketplace, would permit a dual testing
18 strategy. In other words, currently using U.S. approved
19 tests, there is no second test to run.

20 DR. BUCHHOLZ: I understand that.

21 DR. EPSTEIN: So, the question is if we lower the
22 bar on the approval standard will we get in more
23 applications and, therefore, more rapidly make available
24 commercially a second or a third rapid test which would then
25 facilitate the public health objectives of testing at STD

1 clinics and the like.

2 DR. BUCHHOLZ: Would you not think the seven other
3 tests that are available outside the U.S. would be a
4 stimulus for those manufacturers to get those tests approved
5 by FDA?

6 DR. EPSTEIN: We don't know what they will do but
7 presumably they are listening to this discussion, and if
8 they are hearing that there is an incentive they may react.

9 DR. HOLLINGER: Dr. Constantine?

10 DR. CONSTANTINE: Yes, I agree. We are kind of
11 dancing around the issue of the group O many times. I would
12 like to ask a question about the question. That is, what is
13 the impetus for the FDA asking whether they should relax the
14 requirement? The technology is clearly there to be able to
15 detect group Os. Is the impetus that you are worried that
16 the test will become more expensive?

17 I also know, and I think it is public knowledge,
18 that one rapid assay before the FDA right now has group O
19 antigens. So, clearly the tests are there. Clearly, they
20 are being brought to the FDA. I am not sure there is a cost
21 issue. In fact, if there is more than one rapid assay
22 available competition is going to bring the cost down. What
23 is the reason for relaxing it?

24 As far as the prevalence of two group Os, it might
25 be a little strange to realize that those group Os were

1 found when we weren't looking for them. If we should look
2 for group Os, if we did widespread surveillance in the
3 States, I think we would find more than two. And, HIV-2 now
4 is up to 100 cases in the States. Is that right? They
5 started off as two cases. So, the technology is there. Why
6 not use it? I don't understand why relax; why not move
7 forward?

8 DR. HOLLINGER: Is there someone here from Murex
9 still? Could you tell us how this test does with HIV-@?

10 DR. SHOCKLEY: All the ones that we have tested so
11 far, it picks up the HIV-2s. Again, it is purely cross-
12 reactive. We don't have HIV-2 capture in the one that is
13 licensed. We have the same version of that test outside the
14 U.S. and we have HIV-2 capture.

15 DR. HOLLINGER: You have a version outside the
16 United States which has HIV-2 antigen?

17 DR. SHOCKLEY: That is correct.

18 DR. HOLLINGER: But the one that is here still has
19 picked up most of the HIV-2?

20 DR. SHOCKLEY: That is correct.

21 DR. HOLLINGER: And how many have there been?

22 DR. SHOCKLEY: I would say we have probably tested
23 on the order of 30.

24 DR. HOLLINGER: Okay, thank you very much. Yes,
25 Dr. Verter?

1 DR. VERTER: I think the issue for me is that
2 there are two public health issues. One, my understanding
3 is that we are supposed to make sure that the blood supply
4 is as safe as possible. And, it seems to me that there are
5 techniques, methods, assays in place now which, from what I
6 have heard over the last couple of years sitting on this
7 Committee, show that the blood supply is very safe, and with
8 the methods we are using it picks up all the HIV-1, 2 and
9 even the O now, as it exists. I don't see a reason to lower
10 the bar then.

11 The reason I see lowering the bar, the United
12 States is an instant society. We like it instantly, from
13 breakfast to replays. If the manufacturers of these kits
14 can come up with an instant kit that has the same attributes
15 as the current system, great. I mean, I think it would be
16 great because you would get a higher return; the people who
17 are supposedly positive, they could be counseled more
18 efficiently; they are less likely to go back and you would
19 have less worry about the getting into the blood supply by
20 accident. It is a wonderful attribute. I don't think the
21 bar should be lowered.

22 DR. KOERPER: I agree. I don't think the bar
23 should be lowered. The reason that those individuals were
24 not given their test results right away was not because we
25 don't have a rapid test; it is because the rapid test wasn't

1 being used at that clinic where they were seen. I think we
2 need to remember that. We do have a test. And, if people
3 are concerned that the testees are not getting their results
4 right away, then people need to use the test. So, lowering
5 the bar is not going to change that strategy of whether the
6 test is used.

7 I also agree with someone who said, you know, that
8 we had 2 HIV-2s and now we are up to 100. So, we have 2
9 group Os. In a few years we are going to be up to 100. I
10 remember the days when we had 3 hemophiliacs and now we are
11 up to 6000. So, you know, it starts slow and it picks up,
12 and I don't think we should lower the standard.

13 DR. KAGAN: My only comment would be that I think
14 we need to keep watching and raising the standards.

15 DR. VERTER: I am sorry, there is one other
16 thought I had. I am also concerned about the false
17 positivity. I agree that in certain hands the counseling
18 would be outstanding but you are not at every clinic, and I
19 worry that not everyone would educate as well as you would.
20 I think we do have to be sensitive to the second public
21 health issue in the testing, and that is those who are told
22 they are positive but may not be positive -- how they are
23 told and how quickly that is corrected.

24 DR. HOLLINGER: Yes, and perhaps even to emphasize
25 the low prevalence areas, in terms of the number of

1 positives that will be false positive. That might not be a
2 place for rapid tests for that very reason. It was in that
3 group anyway that had very good return, I think it was 96%
4 or 98% -- so, that is not the group that this possibly would
5 be directed to but that is what the market will determine
6 anyway. Yes, Dr. Mitchell?

7 DR. MITCHELL: I also have a question of CDC.
8 Since we do have one test out, you know, when you repeat
9 testing it should improve the specificity and I wanted to
10 find out if they had looked at repeating the current testing
11 and how it improves specificity.

12 DR. HOLLINGER: Yes, Dr. Branson?

13 DR. BRANSON: These figures that were presented
14 were based on repeatedly reactive SUDS screening test. So,
15 all those figures were based on already repeating the test.

16 DR. HOLLINGER: So, are you saying that in the
17 rapid test if you get a positive result -- if a person is
18 there because that is going to increase the time obviously,
19 but if you get a positive result, rather than talking to the
20 person right at that time, the test is repeated again, even
21 just a single test. Is that correct? Before that person is
22 talked to, which means it increases it to maybe 30 minutes?

23 DR. BRANSON: You would get a repeatedly reactive
24 test before you would give a result at the current time, and
25 part of the reason that CDC is interested in seeing the

1 availability of other tests is to improve the predictive
2 value further using a different test. So, if a person is
3 positive, we would prefer that they would have repeatedly
4 reactive tests, tested with an additional test, to minimize
5 the number of people who receive false positives. Much of
6 the reluctance in using what is available now is the
7 reluctance to give people a potentially false-positive
8 result.

9 DR. HOLLINGER: And that wouldn't impinge upon its
10 public health benefits of reporting this -- people would
11 stay around for that period of time. Yes, Dr. Nelson.

12 DR. NELSON: Mike Busch raised another question.
13 With the combi test and the HIV-2 antigen etc., the third
14 generation, not only has it become very sensitive but also
15 the period that a person is infected or infectious negative
16 on the serology has decreased substantially. What about the
17 rapid tests? Do they still have the window period of the
18 first generation or the earlier tests, or are they also very
19 sensitive in the window period?

20 DR. BRANSON: The only rapid test I can address is
21 the one that is currently on the market, which is whole
22 viral lysate, and I think it is the same as the older
23 window, not the newer recombinants.

24 DR. NELSON: So, potentially we could not only
25 tell somebody he is positive when he is not, but we might

1 also tell somebody they are negative when, with another test
2 that is licensed, they would be told they are positive
3 because of the short window, and if they were at high risk
4 in some populations it could be a significant number of
5 people.

6 But, again, there are two words that I think need
7 defining, "screening" and "diagnostic." For the blood
8 donors we do need the best diagnostic test available, but I
9 still can see a strong reason for screening tests even if
10 they are not perfect because you have the person there and
11 you can communicate a message, and you can use the word
12 "screening" in the message in some fashion that the person
13 understands. Whereas, if nothing is done you may lose them,
14 and that is not an insignificant problem. In fact, you
15 know, right now how are we going to control this epidemic?
16 We have to find people as early as possible; get them on
17 therapy; counsel them, all this kind of thing. That should
18 be a high priority.

19 DR. HOLLINGER: I am going to call for the
20 question now if there are no other comments. Let's vote on
21 the question as it is stated, and that is, with regard to
22 rapid tests used in diagnostic settings should FDA relax its
23 current policy, that is, the current policy which requires
24 as a condition of approval that all new tests for antibodies
25 to HIV-1 have demonstrated ability to detect HIV-1 group O?

1 All those that agree with that question, please
2 raise your hand.

3 [Show of hands]

4 All those opposed?

5 [Show of hands]

6 Any abstaining?

7 [No response]

8 Ms. Knowles, your comments?

9 MS. KNOWLES: I don't want to relax the policy.

10 DR. HOLLINGER: Pardon?

11 MS. KNOWLES: I do not want to relax it. I don't
12 want the FDA to relax the policy. It is a no.

13 DR. HOLLINGER: Actually, I misread the thing
14 myself.

15 [Laughter]

16 I am sorry about that. Let's vote again. That is
17 my prerogative; I can do that. I really apologize for that.
18 That is not what I mean to vote. So, should FDA relax its
19 current policy to require that all these tests have antibody
20 to detect HIV-1 group O?

21 All those in favor of that, raise your hands, that
22 it should relax its policy? All those in favor, voting yes,
23 raise your hand.

24 [Show of hands]

25 All those that feel that it should not relax the

1 policy, raise your hand.

2 [Show of hands]

3 And abstaining?

4 [No response]

5 MS. KNOWLES: No.

6 DR. BUCHHOLZ: No.

7 DR. HOLLINGER: Jeanne, a comment, if you wish.

8 You don't have to.

9 DR. LINDEN: Well, I certainly see both sides of
10 the argument, and in a sense it is something I feel strongly
11 about and clearly it is something that we want to work
12 towards. Consistent with the existing public health policy,
13 as Dr. Busch pointed out in terms of HIV-2, I think rare
14 events can be treated consistently in terms of doing
15 specialized tests when indicated. That is what we are doing
16 already.

17 DR. HOLLINGER: Also, I think there was a
18 sentiment here, and if I am wrong, please correct me, but a
19 sentiment that there also ought to be the same kind of
20 policy here for the HIV-2 as well. It is not an issue here.
21 This is an HIV-1 test. But there seems to be, if I can put
22 this message across to the FDA, that we feel it also should
23 include HIV-2 as well.

24 DR. SMALLWOOD: The results of the vote are as
25 follows. There was one yes vote; 12 no votes; no

1 abstentions. Both the consumer and industry rep. agree with
2 the no vote. There are 13 members of the Committee present
3 that are eligible to vote.

4 DR. HOLLINGER: Tomorrow is a very heavy day,
5 particularly because we want to be sure we have enough time
6 in the afternoon in a closed session to discussion the
7 issues about the research at the FDA, and so on, as has been
8 sent to you. We are slated to be out at three o'clock. See
9 you at eight o'clock.

10 [Whereupon, at 4:50 p.m., the proceedings were
11 recessed to be resumed at 8:00 a.m., Friday, June 19, 1998.]

12

- - -

C E R T I F I C A T E

I, ALICE TOIGO, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.

A handwritten signature in cursive script, reading "Alice Toigo", is written above a horizontal line.

ALICE TOIGO