

ORIGINAL

TRANSCRIPT OF PROCEEDINGS

**DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH**

2253 98 SEP 22 P2:49

**ONCOLOGIC DRUGS ADVISORY COMMITTEE
58TH MEETING**

Pages 1 thru 415

**Bethesda, Maryland
September 2, 1998**

**MILLER REPORTING COMPANY, INC.
507 C Street, N.E.
Washington, D.C. 20002
(202) 546-6666**

sgg

AT

1

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ONCOLOGIC DRUGS ADVISORY COMMITTEE
58TH MEETING

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service; the Food and Drug Administration makes no representation as to its accuracy.

Tuesday, September 2, 1998

8:00 a.m.

Holiday Inn Bethesda
Versailles I, II, III
8120 Wisconsin Avenue
Bethesda, Maryland

MILLER REPORTING COMPANY, INC.
507 C Street, N.E.
Washington, D.C. 20002
(202) 546-6666

PARTICIPANTS

Janice Dutcher, M.D., Chairperson
Karen Templeton-Somers, Executive Secretary

MEMBERS

Kathy S. Albain, M.D.
David H. Johnson, M.D.
Kim A. Margolin, M.D.
Robert Ozols, M.D.
Richard L. Schilsky, M.D.
Richard Simon, D.Sc.
Derek Raghavan, M.D., Ph.D.

E. Carolyn Beaman, Consumer Representative
Deborah Cassel, Patient Representative
Sandra Zook-Fischler, Patient Representative

CONSULTANTS

George Sledge, M.D.
Carole Miller, M.D.
Julie M. Vose, M.D.
Steven E. Lipschultz, M.D.

GUEST EXPERTS

Susan Ashley
Trevor Powles, M.D.
Timothy O'Leary
James H. Doroshov, M.D.
James L. Weiss, M.D.

FDA

Rachel Behrman, M.D., M.P.H.
Julia Goldstein, M.D.
Susan Honig, M.D.
Susan Jerian, M.D.
Robert Justice, M.D.
Kathryn Stein, M.D.
Patricia Keegan
Jay Seigel, M.D.
John Johnson, Clinical Team Leader
Julie Beitz, Medical Team Leader

C O N T E N T S

A.M. SESSION
NDA Supplement 17-970/S-040 Nolvadex (tamoxifen)
Zeneca Pharmaceuticals

Call to Order and Introductions, Janice Dutcher, M.D.	5
Conflict of Interest Statement, Karen M. Templeton-Somers	7
Open Public Hearing:	
Marilyn McGregor	8
Carolyn Aldige	12
Samuel Epstein (letter)	15
Barbara Brenner (letter)	20
Sponsor Presentation:	
Introduction, Jerry Lewis, M.D.	24
Summary of the Breast Cancer Prevention Trial Results, Joseph Costantino, Dr. P.H., NSABP	27
Summary, Jerry Lewis, M.D.	47
Questions from the Committee	48
FDA Presentation, Susan Honig, M.D.	88
Comments on the Royal Marsden Study, Trevor Powels, M.D.	124
Questions from the Committee	132
Open Public Hearing:	
Ann E. Fonfa	149
Cynthia Pearson	154
Vincent Li	161
Helen Schiff	161
Mary Ann Napoli	169
Sharon Batt	172
Committee Discussion and Vote (ODAC Discussants: Kathy Albain, M.D. and George Sledge, M.D.)	175

P.M. SESSION
Biologics License Application (BLA) 98-0369
Herceptin (trastuzumab)
Genentech, Inc.

Call to Order and Introductions, Janice Dutcher, M.D.	232
---	-----

C O N T E N T S (Continued)

Conflict of Interest Statement, Karen Templeton-Somers, Ph.D.	233
Open Public Hearing:	
Alice Hamele (letter)	235
Rosemary Locke	238
Elaine Doubrava (letter)	239
Marilyn McGregor	241
Philip Wyatt (letter)	244
Introduction of the Issues, Julia Goldstein, M.D.	247
Sponsor Presentation:	
Introduction and Regulatory History, Karl G. Trass	254
Scientific Rationale and Clinical Efficacy, Steven Shak, M.D.	257
Clinical Safety, Virginia Paton, Pharm. D.	276
Summary of Benefits and Risks, Steven Shak, M.D.	292
Questions from the Committee	295
FDA Presentation, Susan Jerian, M.D.	324
Questions from the Committee	357
Open Public Hearing:	
Robert Erwin	370
Committee Discussion and Vote (ODAC Discussants: Janice Dutcher, M.D. and Carole Miller, M.D.)	375

P R O C E E D I N G S

Call to Order and Introductions

DR. DUTCHER: Good morning. In case you are in the wrong room, this is the Oncologic Drugs Advisory Committee. We are going to start a three-day meeting. Two of our committee members were unable to make it here because they live in cities that are served only by Northwest Airlines, Drs. Krook and Santana. They send their regards.

As I am sure everyone in the room is aware, we have a tremendous amount of material to cover today, and our goal is to carefully evaluate the data that are presented by both the sponsor and FDA, and that is the goal of both the committee and the audience.

We do have a large number of members of the public who requested to speak and participate, which we welcome. We are going to ask that everyone, including members of the committee and members of the audience, to be as succinct with their comments as possible so that we can get through what should be a very interesting and pretty power-packed day full of information. So, we hope that everyone will work together so that we are not all here until midnight. Thank you all for your interest and for your willingness to participate.

We will go around the room and introduce the members of the committee. I am Janice Dutcher, from Albert

1 Einstein Cancer Center, in New York.

2 DR. JOHNSON: David Johnson, Vanderbilt
3 University, Nashville.

4 DR. MARGOLIN: Kim Margolin, City of Hope, Duarte,
5 California.

6 DR. ALBAIN: Kathy Albain, Loyola University of
7 Chicago.

8 DR. SIMON: Richard Simon, National Cancer
9 Institute.

10 DR. SCHILSKY: Richard Schilsky, University of
11 Chicago.

12 DR. OZOLS: Bob Ozols, Fox Chase Cancer Center,
13 Philadelphia.

14 DR. TEMPLETON-SOMERS: Karen Somers, Executive
15 Secretary to the committee, FDA.

16 DR. SLEDGE: George Sledge, Indiana University.

17 DR. RAGHAVAN: Derek Raghavan, University of
18 Southern California.

19 MS. BEAMAN: Carolyn Beaman, consumer rep, Sisters
20 Breast Cancer Network.

21 DR. BEITZ: Julie Beitz, Medical Team Leader, FDA.

22 DR. HONIG: Susan Honig, Medical Reviewer, FDA.

23 DR. JUSTICE: Bob Justice, Acting Director,
24 Division of Oncology Drug Products, FDA.

25 DR. TEMPLETON-SOMERS: We have also two guests for

1 the FDA, Dr. Trevor Powles, if you could stand up for us?
2 Thank you. And, Dr. Susan Ashley, statistician for his
3 group? Thank you.

4 DR. DUTCHER: All right. We are now going to read
5 the conflict of interest statement.

6 **Conflict of Interest Statement**

7 DR. TEMPLETON-SOMERS: The following announcement
8 addresses the issue of conflict of interest with regard to
9 this meeting and is made a part of the record to preclude
10 even the appearance of such at this meeting.

11 Based on the submitted agenda for the meeting and
12 all financial interests reported by the participants, it has
13 been determined that all interests in firms regulated by the
14 Center for Drug Evaluation and Research which have been
15 reported by the participants present no potential for a
16 conflict of interest at this meeting, with the following
17 exceptions:

18 Dr. James Krook is excluded from participating in
19 today's discussions and vote concerning Nolvadex. In
20 addition, Dr. Robert Ozols has been granted a waiver that
21 permits him to participate in all matters concerning
22 Nolvadex.

23 A copy of this waiver statement may be obtained by
24 submitting a written request to the FDA's Freedom of
25 Information Office, Room 12A-30 at the Parklawn Building.

1 am Administrative Director of the Cancer Support Community
2 located in San Francisco. I have no financial interest in
3 tamoxifen.

4 There is a great longing to believe that there is
5 a preventative drug for breast cancer. Given the dismal and
6 long-term unchanging mortality rate of breast cancer, there
7 is a willingness to believe that this drug could be the
8 answer to so many people's prayers. But as scientists and
9 as an organization responsible for the public good, I urge
10 this committee not to approve the application of tamoxifen
11 as a breast cancer prevention drug.

12 The reasons I urge this decision are as follows:
13 There is clinical trial medicine and real life medicine and
14 media over-estimation of the benefits of any one cancer
15 drug. There needs to be a higher level of assurance when
16 prescribing a drug that is a known carcinogen in a healthy
17 population or at least no discernible breast cancer. Those
18 of us diagnosed with breast cancer have a different
19 ris/benefit ratio, and tamoxifen may be appropriate.

20 Clinical trials medicine defines exactly who
21 benefits given their family history of breast cancer, as was
22 done in the NCI trial. Real life medicine has a busy doctor
23 in an HMO whose patient may have no family history or risk
24 factors or the pervasive anxiety about developing breast
25 cancer. This woman would most likely be prescribed

1 tamoxifen as a preventative. Off-label prescription is
2 common in cancer and is a benefit to most cancer patients,
3 but this may not be the case with tamoxifen as a
4 preventative in a healthy population.

5 The NCI study does not prove that tamoxifen
6 prevents breast cancer for the life of any one woman. The
7 most that can be said of the NCI trial is that the tamoxifen
8 group appeared to have less breast cancer for the short
9 period of time of the trial, which was an average of 3
10 years. A woman can develop breast cancer in over a 50-year
11 period. If this drug is approved as a preventative, the
12 insert should say that the drug is only to be prescribed for
13 the length of time of the trial, which was approximately 3
14 or 4 years.

15 Other speakers will, no doubt, discuss the British
16 studies which reported no benefit of tamoxifen as a
17 preventative. I am going to discuss the Italian study of
18 Dr. Bianco. At the May, 1998 ASCO meeting in LA, there was
19 a symposium on HER2. Dr. Bianco discussed his 20-year study
20 of HER2 overexpression in tamoxifen use. Bianco and his
21 colleagues found that there was no apparent benefit in using
22 tamoxifen for those who overexpress HER2. All other
23 categories showed a benefit of tamoxifen use.

24 In addition, the Italian research also showed that
25 those women who overexpressed HER2 and took tamoxifen had an

1 overall worse outcome. Dr. Bianco stated that his research
2 could greatly affect the use of tamoxifen, and called for
3 further study on this issue.

4 If, indeed, the Italian studies prove to be
5 accurate, this could potentially mean that 25 to 30 percent
6 of women would have no benefit of tamoxifen either as
7 healthy patients or cancer patients. This could potentially
8 mean that before a woman would be prescribed tamoxifen she
9 would have to be tested for HER2 overexpression. Many other
10 possibilities are also possible.

11 However, at this time we do not know the answers
12 to these scientific questions but answers are certainly
13 needed. Good science demands more good science. It is
14 well-known in scientific circles that negative studies or
15 non-U.S. studies are routinely not included in drug
16 analysis. I urge that the Italian and British studies be
17 considered carefully in your application.

18 I urge the NCI to immediately conduct appropriate
19 studies regarding the interaction of HER2 overexpression and
20 tamoxifen use. I recommend these studies be completed
21 before any approval of tamoxifen as a preventative for
22 healthy women. Meta-analyses, retrospective tumor block
23 studies and/or well-controlled trials all need to be done to
24 ascertain if tamoxifen is beneficial to those women who
25 overexpress HER2 in the healthy population and in the cancer

1 population.

2 As tamoxifen is already licensed, doctors may
3 continue to prescribe the drug in individual cases, but the
4 FDA and the NCI need to protect the public.

5 Thank you for consideration of my remarks.

6 DR. DUTCHER: Thank you. The next speaker is
7 Carolyn Aldige.

8 MS. ALDIGE: Good morning. I am Carolyn Aldige,
9 President and Founder of the Cancer Research Foundation of
10 America. Additionally, I have the privilege of currently
11 serving a 2-year term as President of the National Coalition
12 for Cancer Research.

13 The mission of CRFA, cancer prevention through
14 research and education, is fueled by the knowledge that as
15 much as 70 percent of all cancer is preventable. We believe
16 that prevention provides our greatest hope for reducing
17 cancer's deadly impact. We also believe that our
18 organization's focus on prevention is unique among cancer-
19 related organizations. Since 1985 CRFA has directed more
20 than \$30 million to promising research, education and early
21 detection programs that turn the promise of cancer
22 prevention into reality.

23 Before making my formal comments, I should note
24 for the record that CRFA receives support from a number of
25 pharmaceutical companies, including Zeneca Pharmaceuticals,

1 as well as a host of other corporate supporters and
2 individual donors.

3 You know the challenge. Breast cancer is the most
4 common cancer among women, accounting for one out of every
5 three women's cancer diagnoses in the United States. Last
6 year approximately 180,000 new cases of breast cancer were
7 diagnosed, and nearly 45,000 women died from the disease.
8 Only lung cancer causes more cancer deaths in women.

9 In the face of such discouraging news, the
10 prospect of the first effective chemopreventive agent for
11 women at risk for breast cancer, tamoxifen, is heartening
12 indeed.

13 I would like to thank the Oncologic Drugs Advisory
14 Committee for allowing me to speak today for this is, in
15 fact, the first time I have requested permission to address
16 an ODAC panel. Why? Because this is the first time, to my
17 knowledge, the committee has considered approving a drug to
18 prevent cancer. Heretofore, consideration was given only to
19 drugs that could be used for treatment. In our view, this
20 is a landmark event.

21 CRFA has long supported the National Cancer's
22 Institute decision to conduct ground-breaking cancer
23 prevention trials. In fact, in 1993 I was pleased to have
24 the opportunity to testify before the Senate Cancer
25 Coalition in favor of continuing the breast cancer

1 prevention trial.

2 We believe that the compelling results of NSABP's
3 P-1 study merit approval of Zeneca's application for the use
4 of Nolvadex as the first preventive agent for women at risk
5 for breast cancer. The trial results are, as Dr. Richard
6 Klausner has noted, nothing less than a real advance for
7 women with a family history of breast cancer. Women in the
8 trial taking tamoxifen developed 45 percent fewer cases of
9 breast cancer than those on placebo. There were 85 new
10 cases in the tamoxifen group over 4 years compared with 154
11 in those on placebo. Women on tamoxifen also had fewer
12 cases of DCIS, as well as fewer bone fractures of the hip,
13 wrist and spine.

14 We also note that the drug has its drawbacks --
15 more cases of endometrial cancer, pulmonary embolism and
16 deep vein thrombosis. The risk for endometrial cancer in
17 the tamoxifen groups was more than that of the placebo
18 group, while the risk of pulmonary embolism was nearly
19 tripled. However, these potentially dangerous side effects
20 appear to be limited to women older than 50, and we believe
21 these risks can be managed.

22 While no one can underestimate the seriousness of
23 these potentially life-threatening side effects, the case
24 for ODAC approval remains a strong one. Approval will
25 ensure that doctors and other health care professionals are

1 fully aware of the drug's side effects, and can discuss them
2 fully with patients. Approval provides the FDA with the
3 opportunity to capture data about adverse events, rounding
4 out knowledge of the drug. Approval means that patients and
5 doctors will not have to seek the drug off-label
6 prescriptions.

7 The approval of tamoxifen is a crucial early step
8 in the prevention of breast cancer in American women. We,
9 at CRFA, applaud your taking this step which means so much
10 in the long term to women at risk for the disease and their
11 families.

12 Thank you again for the opportunity to speak
13 today.

14 DR. DUTCHER: Thank you. We also have two
15 letters, and Dr. Somers will read the letters.

16 DR. TEMPLETON-SOMERS: The first letter is from
17 Dr. Samuel Epstein, who is a Professor of Environmental and
18 Occupational Medicine at the University of Illinois.

19 Zeneca's Nolvadex NDA for preventing breast cancer
20 in healthy women "at high risk of cancer," including all
21 women over 60 years old, is primarily based on NCI's April
22 6, 1998 summary report, "Breast Cancer Prevention Trial,
23 BCPT, Shows Major Benefits and Some Risks."

24 This report was unsupported by a peer reviewed
25 scientific publication and was qualified by the admission

1 that "further analyses of the data are under way." No
2 further data have yet been released, nor has the report yet
3 been published. Additional evidence is derived from
4 tamoxifen's partial protective effects in rats and mice
5 against the induction of breast cancer by 7,12-
6 dimethylbenzanthracene, DMBA, besides other carcinogens.
7 However, those DMBA-induced cancers which were not
8 suppressed were hormone independent and highly aggressive.

9 NCI's report announced that the BCPT had been
10 terminated prematurely on March 24 in view of "clear
11 evidence that tamoxifen reduced breast cancer risks." As
12 indicated in the Table -- and for this I will have to refer
13 the committee to the tables in their packets -- based on
14 data cited in the report, tamoxifen reduced the incidence of
15 both invasive and non-invasive breast cancer in women of all
16 ages. However, the short term duration of the trial
17 precludes determination as to whether the drug prevented
18 cancer or merely delayed its onset by treating small
19 undetected tumors.

20 On July 11, 1998, two publications in The Lancet
21 reported no evidence for the efficacy of tamoxifen in
22 preventing breast cancer. A 6-year trial by the Royal
23 Marsden Hospital, London oncologic team, based on some 2500
24 women with a family history of breast cancer, and a similar
25 4-year study by the European Institute of Oncology in Milan,

1 based on 5400 women, reported no difference in the incidence
2 of breast cancer in women treated with tamoxifen or placebo.

3 An accompanying editorial warned -- this is a
4 quote -- the failure of these trials to confirm the results
5 of the U.S. study, however, casts doubt on the wisdom of the
6 rush, at least in some places, to prescribe tamoxifen widely
7 for prevention. Longer follow-up of completed and current
8 trials is clearly required to clarify the relative
9 preventive benefits and risks in different populations, and
10 to confirm the BCPT findings. Most importantly, none of
11 these trials provides reliable data on mortality, which
12 should be the ultimate endpoint.

13 These concerns have been summarily dismissed by
14 NCI -- "the chance that our results occurred by chance was 1
15 in 10,000." However, The Lancet editorial did not challenge
16 the results themselves, but their interpretation and
17 significance.

18 Serious short-term complications in the BCPT,
19 uterine cancer, pulmonary embolism and deep vein thrombosis,
20 were increased 2-3-fold in the tamoxifen group. These
21 complications were only seen in postmenopausal women. Among
22 non-hysterectomized women in this age group, the incidence
23 of these serious complications was 2.2 percent in contrast
24 to a 1 percent reduction in the incidence of breast cancer.

25 It must be recognized that the short term duration

1 of the BCPT, apart from the absence of any long-term follow-
2 up, precludes recognition of possible further increases in
3 the incidence of already recognized short-term life-
4 threatening and other serious complications, and also of
5 other, not yet reported, long-term or delayed complications.
6 Of concern in this connection is the fact that tamoxifen
7 induces ovarian necrosis and ovulation in a manner similar
8 to clomiphene, a recognized risk factor for ovarian cancer.

9 More serious still is the high hepatocarcinogenic
10 potency of tamoxifen in the rat, as confirmed in February
11 1966 by the International Agency for Research on Cancer, at
12 low doses and blood levels equivalent to those in the BCPT.
13 Tamoxifen also binds tightly to estrogen receptors in the
14 human liver, and induces highly stable DNA adducts in 2
15 rodent species. Risks of liver cancer are not precluded by
16 the absence of such reported complications among breast
17 cancer patients treated with tamoxifen as relatively few
18 such women have taken the drug for over 5 years and followed
19 up for a further 20 years before which the induction of
20 liver cancer would be unlikely.

21 It should be noted that senior NCI staffer Dr.
22 Leslie Ford dismissed risks of liver cancer on the grounds
23 that no cases were reported in the short term BCPT, and also
24 on the incorrect grounds that carcinogenic effects in rats
25 were only seen at high doses. Ford's logic, however, would

1 exculpate virtually all recognized human carcinogens.
2 Furthermore, NCI's denigration of the human relevance of the
3 experimental carcinogenicity data on tamoxifen and its
4 failure to warn BCPT participants of this grave risk is in
5 striking contrast to its reliance on rodent teratogenicity
6 data as the basis for warning against the administration of
7 tamoxifen to pregnant women.

8 It is of further interest to note that while some
9 25 cases of liver toxicity in tamoxifen-treated breast
10 cancer patients, acute hepatitis, liver failure and deaths
11 and hepatobiliary complications, have been reported in the
12 U.K. by 1992, with similar evidence obtained from the FDA,
13 no such adverse effects were noted in the short term BCPT.

14 NCI's preliminary April 6 report on the prevention
15 of breast cancer by tamoxifen has still not been finalized
16 and published in a scientific journal. The advisory
17 committee should consider the propriety of Zeneca's NDA
18 submission as it is based, in part, on data which have not
19 been made fully available to the public although the
20 underlying NCI research was funded by the public.

21 Furthermore, the claimed evidence for chemoprevention has
22 been rebutted by two subsequent scientific publications. Of
23 as great concern is the well-documented evidence of short-
24 term life-threatening complications, and also risks of
25 delayed fatal complications, evidence for which has been

1 trivialized and suppressed by NCI. Based on these
2 scientific and ethical considerations, the advisory
3 committee is urged to deny approval of Zeneca's NDA.

4 This and the other letters are available for your
5 viewing at the registration table outside.

6 Our second letter is by Barbara Brenner of Breast
7 Cancer Action. It says: Dear Committee Members, based on
8 the data currently available, both from the NCI and from the
9 recently released European results, Breast Cancer Action
10 opposes approval of the proposed indication for Nolvadex.
11 Women are entitled to expect that any drug approved for the
12 prevention of breast cancer will both actually prevent the
13 disease and carry benefits that outweigh the risks of taking
14 it. As far as we know now, neither is true for Nolvadex.
15 We urge you to "just say no" to this application.

16 Breast Cancer Action is an education and advocacy
17 organization founded and led by women living with breast
18 cancer, representing over 4000 members throughout the United
19 States and beyond, we carry the voices of people affected by
20 breast cancer to inspire and compel the changes necessary to
21 end the breast cancer epidemic. Since our founding in 1990,
22 we have been calling for research into true breast cancer
23 prevention, as well as research on effective treatments.

24 The history of the breast cancer prevention trial
25 that led to the application that is now before the committee

1 is well known. Breast Cancer Action long ago summarized the
2 trials as "bad research, bad drug, bad news for women." But
3 it is not the history of the trial that concerns us today;
4 it is the current state of information about the drug's
5 preventive effects and the risks associated with its use.

6 The data currently available regarding the use of
7 tamoxifen in healthy women point to far too many known and
8 unknown risks to justify the approval of Nolvadex as a
9 preventive. The risks, as revealed by the BCPT-1 data, are
10 presumably well known to the FDA and the committee. But
11 seeing them listed gives us and, hopefully, the committee
12 members the overwhelming sense that this application is
13 premature in the extreme.

14 From studies of tamoxifen in women with breast
15 cancer and from the BCPT-1 trial, some of the side effects
16 of taking tamoxifen are known: Endometrial cancer,
17 pulmonary embolism, deep vein thrombosis, eye damage,
18 depression, irritability, vaginal dryness, hot flashes,
19 memory loss and weight gain.

20 Because BCPT-1 ended before the 5 years for which
21 the trial was designed, because a number of the participants
22 were involved in the trial for far less than 4 years, and
23 because there is no rigorous follow-up guaranteed for the
24 trial participants, there is much we do not know about the
25 consequences of tamoxifen for healthy women at high risk for

1 breast cancer. Given the recruitment of BCPT-1 participants
2 into the STAR trial, BCPT-2, even the minimal follow-up
3 planned for BCPT-1 participants will be of little or no
4 value in resolving the many unknowns about tamoxifen. Among
5 the most troubling unknowns are these:

6 Long-term effects of the drug in terms of breast
7 cancer risk or any other risk; appropriate duration of
8 treatment; how long the protective effect of the drug lasts;
9 whether and how benefits and risks vary depending on the
10 race/ethnicity of the woman taking the drug; whether and how
11 benefits and risks vary depending on age of the woman taking
12 the drug; whether and how benefits and risks vary depending
13 on breast cancer risk factors; and whether women who develop
14 breast cancer while on tamoxifen develop a more aggressive
15 form of the disease.

16 While it will be argued that some of the foregoing
17 information is known, we disagree. Either because of the
18 trial design or because data about the trial has not been
19 made available before now, we simply do not have the answers
20 to these questions. All of these concerns are addressed at
21 length in the lead article in the June/July, 1998 edition of
22 the "Breast Cancer Action Newsletter," a copy of which is
23 attached to this testimony for the committee's convenience.

24 Last but certainly not least, the data that are
25 currently available clearly indicate that, whatever else

1 tamoxifen does for healthy women, it does not prevent breast
2 cancer. The BCPT-1 data show only that for some small group
3 of women the drug may delay the onset of the disease. The
4 NCI's conclusions, even in this regard, are undermined by
5 the recently released European results finding no benefit
6 from tamoxifen in healthy women at high risk.

7 Whatever else is true, if someone taking Nolvadex
8 can develop breast cancer, then the drug is clearly not
9 preventing the disease in any sense that the general public
10 understands. What epidemiologists mean by prevention is not
11 what people who are worried about breast cancer mean when
12 they use or, more importantly, hear the word.

13 When we finally have a drug that we know will
14 reduce a woman's risk of developing breast cancer, with
15 attendant risks of side effects that are both known and
16 acceptable, we will encourage this committee and the FDA to
17 approve it under an indication of "risk reduction," not
18 "prevention."

19 But, as far as we know today, Nolvadex is not that
20 drug. For this committee to approve the indication that
21 Zeneca is now requesting would expose millions of healthy
22 women to the known risks of tamoxifen and to potentially
23 grave unknown risks without any guarantee of obtaining the
24 benefits that are being claimed. Only one word can
25 accurately describe such an action -- unconscionable. Do

1 not let Zeneca's drive for profit divert you from the
2 interests of women at high risk for breast cancer.

3 Respectfully submitted by Barbara A. Brenner,
4 Executive Director.

5 As a matter of policy, in order to avoid the fact
6 or appearance of a conflict of interest, Breast Cancer
7 Action does not accept funding from Zeneca or from any other
8 pharmaceutical company.

9 Thank you and, again, both letters are available
10 for you to look at, at the registration desk.

11 DR. DUTCHER: Since we do have time later in the
12 morning for other comments and people are scheduled to
13 speak, we are going to proceed with the agenda as it is
14 printed and we will begin with the sponsor's presentation.

15 **Sponsor Presentation**

16 **Introduction**

17 DR. LEWIS: Thank you, Dr. Dutcher. Good morning.
18 I am Jerry Lewis, Senior Medical Director of Zeneca
19 Pharmaceuticals, responsible for Nolvadex, tamoxifen
20 citrate.

21 [Slide]

22 I have the distinct pleasure today of representing
23 Zeneca, and along with my colleagues from the NSABP, the
24 National Cancer Institute and the FDA, we will present and
25 discuss with you the results of the precedent-setting breast

1 cancer prevention trial.

2 This is the basis for Zeneca's supplemental NDA
3 for a change in the labeling -- Nolvadex is indicated for
4 the prevention of breast cancer in women at high risk for
5 developing the disease.

6 [Slide]

7 Following my introductory comments, Dr. Jo
8 Costantino, from NSABP, will present the summary of the
9 breast cancer prevention trial results. At the conclusion
10 of Jo's presentation, I will summarize Zeneca's position and
11 then be pleased to take questions from the committee.

12 [Slide]

13 There are a number of experts here with us today
14 to help address your questions. From NSABP, Dr. Norman
15 Wolmark, Principal Investigator and Chairperson of NSABP;
16 Dr. Costantino, and Dr. Larry Wickerham, Director of
17 Operations at NSABP. For the National Cancer Institute, Dr.
18 Leslie Ford, Associate Director, Early Detection and
19 Community Oncology Program; and from Zeneca there are a
20 number of scientists that are available should they be
21 needed.

22 [Slide]

23 Zeneca is very proud that NSABP selected tamoxifen
24 to be evaluated in the breast cancer prevention trial.
25 NSABP has been involved in cancer research for some 40

1 years, and has been studying tamoxifen for some 20 years.

2 In 1991, the NSABP met with the predecessor ODAC
3 to discuss the breast cancer prevention trial. We have with
4 us today here Dr. Bernie Fisher who participated in those
5 deliberations. The ODAC at that point endorsed the trial
6 after they were convinced that the potential benefits
7 outweighed the known risks. The trial was designed to
8 detect a reduction in breast cancer risk of 33 percent in
9 women at high risk.

10 [Slide]

11 The trial itself far exceeded these expectations.
12 Tamoxifen for 5 years prevented 45 percent of invasive
13 breast cancers in women at high risk, and no unanticipated
14 toxicities occurred in the trial. For a drug with 10
15 million patient years of exposure, confirmation of the
16 safety data base should not come as a surprise.

17 Today is a milestone for it represents the first
18 time that the advisory committee is gathered to deliberate
19 and vote on a drug for breast cancer prevention and, indeed,
20 for any drug for prevention of cancer. Reaching this point
21 in the review process as quickly as we have has been
22 accomplished by tremendous cooperation between NSABP, the
23 NCI and the FDA.

24 [Slide]

25 Let me review this time-line for you. The results

1 of the breast cancer prevention trial were made known to
2 investigators and, indeed, the world on April 8 of this
3 year. Some 22 days later Zeneca filed a supplemental new
4 drug application and the FDA granted it an accelerated
5 review. And, here we are today, a mere 5 months later, on
6 September 2, to consider the results of this trial and a
7 label change for Nolvadex.

8 [Slide]

9 It now gives me great pleasure to introduce Dr. Jo
10 Costantino, Associate Director, NSABP, who will present the
11 data from this trial. These data support our new
12 indication. Thank you very much. Jo?

13 **Summary of the Breast Cancer Prevention Trial Results**

14 DR. COSTANTINO: Thank you, Dr. Lewis.

15 I am pleased to be here this morning to have the
16 opportunity to provide for you the results of the breast
17 cancer prevention trial.

18 [Slide]

19 I would like to begin just by answering the
20 question why tamoxifen? Why did NASBP choose tamoxifen to
21 be the drug to evaluate as a preventive agent for breast
22 cancer? Primarily because of three factors.

23 First of all, the drug has been proven to be
24 beneficial in the treatment of breast cancer in both
25 advanced and early stage disease. It was also shown to

1 lower the risk of contralateral breast cancer among those
2 patients. And, there was preclinical evidence demonstrating
3 that tamoxifen inhibits the growth of tumors, and perhaps
4 does this by interfering with both the promotion and
5 initiation mechanisms.

6 [Slide]

7 The breast cancer prevention trial was a double-
8 blinded, randomized clinical trial in which women were
9 randomized to receive the planned duration of 5 years of
10 tamoxifen or 5 years of placebo, and 13,388 women were
11 actually randomized to the trial.

12 [Slide]

13 The primary objective of the study was to evaluate
14 the effect of tamoxifen on the reduction of the incidence of
15 invasive breast cancer. The study was powered to determine
16 that endpoint.

17 [Slide]

18 Other objectives included the evaluation of the
19 effect of tamoxifen on cardiovascular disease, bone
20 fractures, other cancers, mortality and the risks of some
21 other outcomes which were known to be risk factors
22 associated with tamoxifen that we had learned from the
23 treatment trials.

24 [Slide]

25 The study was designed to maintain the statistical

1 power even if the non-compliance was as high as 10 percent
2 per year. This is an important factor because this is
3 something that we had planned for in advance. We
4 anticipated there might be a large non-compliance and we
5 wanted to make sure that we did not reduce our statistical
6 power if there was such a fact.

7 The analysis was based on an intent-to-treat
8 approach. That indicates that all individuals were included
9 in the treatment arm that they were assigned and that all
10 events were included regardless of whether or not they took
11 the drug.

12 [Slide]

13 Women got into the trial based on eligibility
14 criteria, one of which was being at high risk for breast
15 cancer. High risk was defined in this trial as being at
16 least 60 years of age, being age 35 or older and having a
17 history of lobular carcinoma in situ, or being greater than
18 age 35 and having a 5-year absolute risk of breast cancer
19 that was equivalent to the 60-year old woman, and that
20 absolute risk was defined as 1.66 percent in 5 years.

21 The determination of this breast cancer risk was
22 based on a mathematical model developed by Dr. Mitchell Gail
23 and his associates at the National Cancer Institute.

24 [Slide]

25 The factors that went into that model that helped

1 to determine what the risk of breast cancer was for each of
2 these women included age, first degree relatives with breast
3 cancer, parity and age at first live birth, number of breast
4 biopsies, history of atypical hyperplasia, age at menarche
5 and race.

6 The original Gail model only incorporated this
7 first set of parameters. It did not include a factor for
8 race. But we worked with Dr. Gail and we developed a factor
9 to include race into the program so that we could also
10 calculate predictive risk for non-white women.

11 [Slide]

12 In addition, the original implementation of the
13 Gail model was designed to predict the risk of both invasive
14 and non-invasive breast cancer. In the BCPT we were
15 interested in just predicting the incidence of invasive
16 breast cancer so we made modifications to account for that
17 also.

18 Almost 100,000 women had their breast cancer risk
19 assessments performed. Of those 98,000, approximately
20 57,500 women were eligible based on that 1.66 percent in 5
21 years. Now, among those women who were eligible, there were
22 other medical eligibility criteria that had to be met. If a
23 woman desired to be considered for randomization, she went
24 on to be screened and ultimately 13,388 women were
25 randomized.

1 The data that I am going to present to you today
2 is based on the follow-up as of January 31, 1998. This was
3 the data that was actually used by our data monitoring
4 committee when they decided that the trial had met its
5 objectives and that the trial should be disclosed.

6 As of that date, January 31, 1998, follow-up was
7 available for 13,114 women, and the average follow-up time
8 was 44 months. About 73 percent of the women at that time
9 had been followed for more than 3 years. Almost 60 percent
10 had been followed for more than 4 years, and 21 percent had
11 been followed for 5 or more years.

12 [Slide]

13 I would like to start just by quickly reviewing
14 some of the baseline characteristics related to risk that
15 the population had.

16 [Slide]

17 I will begin with age, and 39 percent of the women
18 were in the age range of 35 to 49 at the time they were
19 randomized; 31 percent were in the age range of 50 to 59;
20 and 30 percent were 60 years of age or older.

21 [Slide]

22 In terms of number of relatives with a history of
23 breast cancer, 57 percent of the population had at least 1
24 relative with a history of breast cancer; 16 percent had a
25 history of 2 relatives with a history of breast cancer; and

1 3 percent had a history with 3 or more relatives with breast
2 cancer.

3 [Slide]

4 In terms of the 5-year absolute breast cancer risk
5 predicted from the Gail model, 25 percent of the women had a
6 risk of less than 2 percent in 5 years; 31 percent had a
7 risk in the range of 2-3 percent at 5 years; and 17 percent
8 had a risk of 5 or more in 5 years.

9 [Slide]

10 A significant number of women entered into the
11 trial with a history of LCIS and a history of atypical
12 hyperplasia. Over 800 women in the trial, about 6.2
13 percent, entered the trial reporting a history of lobular
14 carcinoma in situ and about 9.2 percent, approximately 1200
15 women entered into the trial with a history of atypical
16 hyperplasia.

17 [Slide]

18 Now I would like to begin with the results, the
19 primary endpoint of invasive breast cancer.

20 [Slide]

21 This plot is a plot of the cumulative incidence of
22 invasive breast cancer that occurred among the participants
23 in the trial. The black line represents the cumulative
24 incidence for the placebo group. The red line represents
25 the cumulative incidence for the tamoxifen group.

1 As you can see, the cumulative incidence for the
2 placebo group was substantially greater than it was in the
3 tamoxifen group. In fact, there were 154 breast cancers
4 which occurred in the placebo group compared to only 85 in
5 the tamoxifen group. This represents a cumulative incidence
6 of 32/1000 compared to 17.9/1000, representing a reduction
7 of about 45 percent in the risk of breast cancer. This
8 difference was highly statistically significant with the p
9 value being less than 0.00001.

10 A couple of things to note in this plot are that
11 the difference appears to show itself very early on, and it
12 does sustain itself throughout the whole 5 years of the
13 plot.

14 [Slide]

15 Similar findings are noted for non-invasive breast
16 cancer. This is the same type of plot only now we are
17 dealing with non-invasive breast cancer. In the placebo
18 group there were 59 events of non-invasive breast cancer
19 compared to 31 in the tamoxifen group. This equates to a
20 cumulative incidence of 12.3/1000 in the placebo compared to
21 6.8 in the tamoxifen group. This represents a 47 percent
22 reduction in the risk of breast cancer. Again, you can see
23 that the curves separate rather early, before the first
24 year, and they continue to separate through the entire
25 duration.

1 [Slide]

2 This slide reiterates the fact that this finding
3 is consistent across time and has a lasting effect. These
4 are bar charts, and the heights of the bars represent the
5 rate per 1000 of invasive breast cancer by each of the years
6 of follow-up. So you can understand the number of events
7 that went into calculating these rates, at the top of the
8 bars the numbers are given and these represent the number of
9 cases. The yellow bars represent the rate in the placebo
10 group; the red bars, the rate in the tamoxifen group.

11 If you look across all the years, all the way
12 through year 5, you see there is a substantial reduction in
13 the risk of breast cancer all the way up to year 5 and even
14 a 50 percent reduction is evident at year 5.

15 [Slide]

16 To give you a feel for how things look by some of
17 the characteristics of the population, here is the rate of
18 invasive breast cancer broken down by 3 age groups -- less
19 than 49, 50 to 59, and 60-plus. Again, you can see in all 3
20 age groups that there is a substantial reduction of the rate
21 of invasive cancer in the tamoxifen group.

22 [Slide]

23 Here we show the rates broken down by those who
24 reported a history of lobular carcinoma in situ and those
25 with a history of atypical hyperplasia. Again, there are

1 striking reductions in both of these populations.

2 [Slide]

3 This chart shows the rates comparing treatment
4 groups by categories of predicted risk from the Gail model,
5 less than 2, 2-3, 3-5 and greater than 5. Again comparing
6 each of these categories, you can see that there is a
7 substantial reduction in the tamoxifen group, and this
8 magnitude of reduction, seen here at the upper group, is
9 about the same in terms of relative risk as it is in the
10 lower group. Statistically speaking, there was no
11 significant difference between the reduction observed across
12 any of the categories of risk.

13 [Slide]

14 I would like to take a few minutes now to describe
15 to you some of the tumor characteristics of the cases that
16 were diagnosed in the trial and how they compared by
17 treatment arm.

18 [Slide]

19 The first slide deals with tumor size. What we
20 have here is the rate of invasive cancer by the size of the
21 tumor at the time it was diagnosed, those that are less than
22 1 cm, 1-2 cm, 2-3 cm, and greater than 3 cm. Again,
23 comparing the bars or comparing placebo to tamoxifen, you
24 can see that there is a reduction in all categories but the
25 bulk of the reduction, the most significant reduction was

1 among tumors that were less than 2 cm in size.

2 [Slide]

3 This graph shows the rates by categories of nodal
4 status, those who were diagnosed with no positive nodes,
5 those who were diagnosed with 1-3, and those who were
6 diagnosed with 4 or more nodes. You will note that there is
7 a really high number of unknowns here, and this is because
8 the majority of these women did not have axillary dissection
9 so the status is in terms of nodes that could not be
10 determined.

11 If you look at the data, again, there is a
12 striking reduction for those who were diagnosed with no
13 nodes, and also those who were diagnosed with 1-3, but there
14 is no difference in the rates of cancer for those who were
15 diagnosed with 4 or more nodes. This is important to note
16 at this point -- tamoxifen is reducing the rates of disease
17 associated with 1-3 nodes and no nodes; there is no increase
18 in the number of cases being detected with 4 or more nodes;
19 and there is no increase in the number of cases being
20 detected that are larger tumor size. It appears that
21 tamoxifen is culling out the smaller tumors and the tumors
22 that present with less than 4 nodes. So, the theory that
23 cases that occur on tamoxifen are more aggressive is not
24 being demonstrated by the data.

25 [Slide]

1 The last tumor characteristic is ER status, and
2 this is an important one because there is an interaction
3 between ER status and the effect of tamoxifen. These two
4 bars represent women who were diagnosed with tumors that
5 were ER positive. You see a very striking reduction in the
6 risk of cancer based on those who were ER positive. On the
7 other hand, there was no difference in the rates of women
8 who were diagnosed with tumors that were ER negative. So,
9 the effect of tamoxifen appears to be affecting tumors that
10 would present themselves as being ER positive.

11 [Slide]

12 To summarize the findings in terms of breast
13 cancer, tamoxifen reduced the incidence of invasive breast
14 cancer by 45 percent. Reduction is seen in women of all age
15 groups and at all levels of breast cancer risk. And,
16 tamoxifen also reduced the incidence of non-invasive breast
17 cancer.

18 [Slide]

19 I would now like to turn to other cancers that
20 were diagnosed in the trial, starting with endometrial
21 cancer. When we began the trial we were aware that
22 endometrial cancer was a potential risk for women who were
23 using tamoxifen. Indeed, from the world's literature
24 involving treatment trials, we estimated that the risk of
25 endometrial cancer might be elevated about 2-3 fold overall

1 in the population. Indeed, that is exactly what we found in
2 the prevention study.

3 In the placebo group there were 14 cases of
4 endometrial cancer diagnosed compared to 33 cases in the
5 tamoxifen arm, for a relative risk of about 2.5. When this
6 was broken down by age group, there was really no difference
7 evident at this time between the treatment groups for women
8 who were less than 49 years of age at the time they entered
9 the trial. On the other hand, for women who were greater
10 than 50 years of age when they entered the trial there was a
11 substantial difference, 6 versus 26 cases.

12 [Slide]

13 It is important to note that all except for 1 of
14 the cases in the trial were diagnosed at an early stage.
15 All of them were FIGO stage I, 13 on placebo and 33 in the
16 tamoxifen group. There was 1 case that was a stage IV, and
17 this occurred in the placebo group.

18 It is also important to note that most of these
19 cases were picked up by a mechanism which included annual
20 pelvic exams and every 6 months a questioning of the
21 individuals regarding gynecologic symptoms, and stressing to
22 the individuals that whenever gynecologic symptoms occur
23 they should report them immediately and have them followed
24 up.

25 About 3 or 4 years into the trial, in 1995

1 actually, NSABP began paying for women who wished to have
2 endometrial biopsies as part of their follow-up every 6
3 months on the trial. Some of the women did participate in
4 that.

5 [Slide]

6 Only about half of the women in the trial who were
7 eligible for screening -- and when we say eligible now, we
8 are talking about women who actually have uteri, and I might
9 add that all the rates that we are talking about here for
10 endometrial cancer are based only on women who are at risk,
11 women who had a uterus. About 37 percent of the women who
12 came into the trial, at the time of randomization had a
13 hysterectomy.

14 So, 67 percent of the women in the trial were at
15 risk for endometrial cancer, and when we calculated these
16 rates these were based only on women at risk. That is why
17 you see on the bottom line that a little over 4000 women in
18 each arm were at risk. This group of women actually
19 participated in endometrial sampling; this group did not.
20 This is the breakdown of the total number of cancers that
21 were detected among the group who were sampled and the group
22 who were not sampled.

23 As you can see, the rate of detection of cancer
24 was not statistically significantly different, 0.6 percent
25 in those who were sampled compared to 0.5 percent in those

1 who were not sampled.

2 [Slide]

3 To summarize the conclusions in terms of
4 endometrial cancer then, tamoxifen increases the risk of
5 endometrial cancer. Annual pelvic exams, directed
6 questioning regarding gynecologic problems and the prompt
7 reporting and evaluation of symptoms can be successfully
8 used to detect endometrial cancer in early stage. The use
9 of endometrial biopsy did not significantly improve the rate
10 of cancer detection, and the small difference in detection
11 does not justify the use of endometrial biopsy as a
12 screening method.

13 Consistent with these findings, when we are
14 planning our next prevention study we are not recommending
15 that endometrial biopsy be included as part of the routine
16 follow-up.

17 [Slide]

18 Turning now to other cancers, cancers other than
19 the breast and cancers other than endometrial, this table
20 summarizes the complete experience of the trial. Overall,
21 there were 88 other cancers in the placebo group compared to
22 85 in the tamoxifen group. You can see here the
23 distribution by all the different cancers.

24 It is important to note a few of these because
25 some of these were suspected as being possibly associated

1 with tamoxifen and it turns out that they were not. There
2 is no difference in colon cancer. No difference in rectal
3 cancer. No liver cancers. In fact, there is no difference
4 in any cancer at all as you look down the list.

5 [Slide]

6 Ischemic heart disease was included in the trial
7 because it was known that tamoxifen reduces levels of lipids
8 and perhaps that would result in a reduction in the risk of
9 heart disease. There were actually 4 different specific
10 ischemic events that were included as endpoints in the
11 trial. These included fatal myocardial infarction; non-
12 fatal myocardial infarction; a category of illness we called
13 severe angina, and that was defined as having angina that
14 required angioplasty or coronary bypass surgery; and the
15 last endpoint that was included was called acute ischemic
16 syndrome, and this included individuals who had changes on
17 the ECG but not necessarily elevated enzymes or chest pain,
18 or individuals who had severe chest pain and required
19 hospitalization but did not have to have surgery.

20 This table shows the results from those endpoints.
21 First of all, overall there were 59 ischemic events in the
22 placebo group compared to 61 in the tamoxifen group.
23 Dealing with just the myocardial infarctions, there were 27
24 in each arm. If you were to cull out those that were fatal
25 MIs, the numbers would be 8 versus 7. In terms of the

1 severe angina, those requiring bypass or angioplasty, 12 and
2 12 -- the same number in each arm. In terms of acute
3 ischemic syndrome, the numbers were also the same, 20 and
4 22. So, at this time the results of the trial do not
5 support the contention that tamoxifen does reduce the risk
6 of ischemic heart disease.

7 [Slide]

8 Fracture events -- fractures were included as a
9 possible endpoint because of the estrogenic effect of
10 tamoxifen thought to be preserving bone. To evaluate this
11 we included 3 specific endpoints of fractures that we
12 identified a priori which we thought were fractures that
13 would be more likely to represent osteoporotic type of
14 fractures. Those 3 endpoints included fractures of the hip,
15 fractures of the spine and fractures of the lower radial
16 called Colles' fractures.

17 Overall, there were 61 of these type fractures in
18 the placebo group compared to 33 in the tamoxifen group, for
19 a reduction of about 46 percent overall of these types of
20 fractures. Looking specifically at the types of fractures
21 that occurred, hip fractures were 20 versus 9; Colles'
22 fractures were 12 versus 7; and spine fractures were 30
23 versus 19. These numbers don't add up exactly to 61 and 33
24 because there is 1 woman here who had a hip and a wrist
25 fracture. There are 2 women here. One had a hip and spine

1 and one had a hip and wrist fracture, and they are counted
2 individually in that level.

3 [Slide]

4 Vascular events -- as I indicated before, in
5 addition to endometrial cancer we were also aware that there
6 were other potential risks associated with tamoxifen. We
7 learned this from the extensive history that we had with
8 treatment trials. These included thromboembolic events such
9 as pulmonary embolism and deep vein thrombosis.

10 This bar chart shows the distribution of rates and
11 the number of events occurring for pulmonary embolism, deep
12 vein thrombosis, stroke and transient ischemic attack. In
13 terms of pulmonary embolism, there were 6 cases in the
14 placebo compared to 18 cases in the tamoxifen arm. Three of
15 the cases in the tamoxifen arm resulted in death, and this
16 difference was statistically significant.

17 In terms of deep vein thrombosis, there were 19
18 events in the placebo arm compared to 30 events in the
19 tamoxifen arm. This difference was not statistically
20 significant.

21 In terms of stroke, there were 24 in the placebo
22 compared to 34 in the tamoxifen arm. Again, this difference
23 was not statistically significant, and there really was no
24 difference between the 2 arms in terms of transient ischemic
25 attack.

1 [Slide]

2 Ophthalmic events -- when we planned the study
3 there were also reports in the literature suggesting that
4 tamoxifen might have some impact on visual effects. For
5 that reason, we did two things. First, we undertook a
6 special study in one of our that trials, NSABP-14 and,
7 secondly, we included questions in follow-up information in
8 the P-1 trial to help us understand and collect information
9 regarding the occurrence of eye toxicities,

10 In terms of the NASBP-14 trial, approximately 300
11 women were called in and participated in very extensive eye
12 examinations to determine if there were problems. The
13 results of that study indicated that there were no problems
14 with the development of retinal crystals -- retinal crystals
15 is one of the things which was theorized to be one of the
16 potential side effects. There also were no problems with
17 macular edema or macular degeneration. However, the results
18 from the study suggested that there might be a problem with
19 cataracts.

20 [Slide]

21 In the prevention study we also found that there
22 was no relationship between macular degeneration and
23 exposure to tamoxifen. The actual number of events and the
24 rates were identical between the 2 arms. On the other hand,
25 we did find that there was a difference in the rates of

1 cataracts.

2 Of 483 women who came into the trial in the
3 placebo arm without cataracts, developed them during the
4 course of the trial compared to 540 in the tamoxifen arm.
5 This represents about a 13 percent increase in the risk of
6 developing cataracts. Among those women who developed
7 cataracts, 63 out of the 483 went on to have cataract
8 surgery compared to 101 out of the 540 in the tamoxifen arm.
9 This represented about a 60 percent increase in the risk of
10 having cataract surgery.

11 [Slide]

12 The next item I would like to talk about is total
13 deaths. Overall, there were 65 deaths in the placebo group
14 compared to 53 in the tamoxifen arm, 5 of the deaths in the
15 placebo group were due to breast cancer compared to 3 in the
16 tamoxifen arm.

17 There was 1 endometrial cancer death. This
18 occurred in the placebo group, and was diagnosed with a FIGO
19 stage IV endometrial cancer.

20 In terms of heart disease -- all heart disease not
21 just ischemic, ischemic was 8 versus 7; total heart disease
22 is 12 versus 12. Stroke was 3 versus 4. As I mentioned
23 already, there were 3 deaths due to pulmonary embolism in
24 the tamoxifen arm, and so on and so forth.

25 If you look down at every single cause, and there

1 are many causes in here, there are no differences between
2 any cause of death between the arms.

3 [Slide]

4 To summarize the findings from the BCPT, first of
5 all, tamoxifen use prevents invasive breast cancer among
6 women in all age groups and at all levels of predicted
7 breast cancer risk, and a similar effect is evident for the
8 prevention of non-invasive breast cancer.

9 [Slide]

10 Rates of osteoporotic fractures were lower in the
11 women in the tamoxifen group. The risks of tamoxifen
12 include endometrial cancer, thromboembolic events and
13 cataracts. No difference between the that groups was noted
14 for rates of heart disease, other cancers, macular
15 degeneration or other vision conditions affecting permanent
16 vision loss.

17 [Slide]

18 Our conclusions then are that the BCPT was
19 designed as the definitive trial to test the hypothesis that
20 tamoxifen use would reduce the risk of breast cancer. The
21 findings indicate that tamoxifen use can significantly
22 reduce the risk of both invasive and non-invasive disease.

23 [Slide]

24 The weight of evidence from the trial is
25 substantial in comparison to the recently published

1 preliminary findings of the 2 smaller and differently
2 designed European studies. Thus, we conclude that women who
3 are at high risk, as defined in the BCPT, should be
4 considered as candidates for the use of tamoxifen to prevent
5 breast cancer.

6 **Summary**

7 DR. LEWIS: Thank you very much, Jo. Before we
8 open the meeting to questions, I would like to summarize
9 Zeneca's position on Nolvadex in prevention.

10 [Slide]

11 Tamoxifen, as given in the breast cancer
12 prevention trial, prevents 45 percent of invasive breast
13 cancers in women at high risk. Benefit was seen in all age
14 groups and at all levels of risk. The safety was as
15 anticipated from earlier trials, and is covered in our
16 current label. The definition of who is at high risk is as
17 described in the label and in the trial. This information
18 has been incorporated into our current label.

19 Having identified a woman who is at high risk of
20 breast cancer, it is appropriate for that woman to have
21 discussion with her health care provider to determine if
22 tamoxifen is right for her. This discussion should include
23 the necessity for medical care follow-up because tamoxifen
24 is not a substitute for good medical care but an addition to
25 it.

1 [Slide]

2 It is our believe that good medical care for all
3 women includes regular examinations, mammography and pelvic
4 examinations, and follow-up of any abnormal signs and
5 symptoms.

6 [Slide]

7 Finally, we believe these data support our claim
8 that tamoxifen is indicated for the prevention of breast
9 cancer in women at high risk for developing the disease.

10 Thank you very much for your attention, and I
11 would be pleased to take questions from the committee.

12 **Questions from the Committee**

13 DR. DUTCHER: The company has given us half of
14 their time to ask questions of them. So, we appreciate
15 that. Dr. Albain?

16 DR. ALBAIN: Thank you, Dr. Dutcher. I think it
17 goes without saying that we congratulate the sponsor and
18 NSABP for conducting this landmark trial.

19 It struck me in the data again, presented this
20 morning, about the courage of the over 13,000 women who
21 consented to randomization in this trial, as well as the
22 extensive support this trial received from the start from
23 the lay advocacy community and breast cancer survivors.
24 With that as an opening statement, I would like to take the
25 discussion right away to one of the major topics of

1 discussion out there since the data was released in May at
2 the ASCO meetings, and that is the admittedly short follow-
3 up at this stage for the endpoint of preventing cancers.

4 I was wondering if you or NSABP could comment on
5 some of the data that is out there that has much longer
6 follow-up, those breast cancer survivors who received
7 tamoxifen for an adjuvant therapy indication, who have now
8 been followed much, much longer than NSABP-14 or perhaps the
9 worldwide overview data that supports a 45-50 percent
10 reduction in risk of second cancers. Is the maturity of
11 that data in any way supportive of this particular
12 indication?

13 DR. LEWIS: I would like to call on Dr. Wolmark to
14 make some comments on the NSABP trial itself.

15 DR. WOLMARK: Thank you. I would like to echo
16 your remarks on acknowledging the role of the 13,388
17 participants in this trial, without whose courage and
18 perseverance and dedication and selflessness we would not be
19 here today.

20 Relative to your questions as far as the mean time
21 on study and the duration of the effect of tamoxifen, Dr.
22 Costantino showed you the reduction in relative risk over
23 the period of years of follow-up, and that reduction was
24 durable throughout the five years and now into the sixth
25 year. So, even beyond the discontinuation of tamoxifen we

1 still see a reduction.

2 Relative to the data from B-14 where we used the
3 contralateral breast as a surrogate marker for prevention,
4 there too we see that the effect is not a transient one but
5 durable. Those differences that were noted at five years
6 were still very much apparent at ten years of follow-up.
7 That is also true for cumulative analyses of all the NSABP
8 trials relative to the contralateral breast, and is entirely
9 consistent with the overview analysis relative to the
10 contralateral breast, indicating that this is not a
11 transient effect but a durable one.

12 DR. ALBAIN: To follow that up, what are the
13 confidence intervals like out at the 4- and 5-year parts of
14 your annual hazard curve that you showed and just alluded
15 to? We didn't see those on the slide.

16 DR. WOLMARK: Yes, confidence intervals are a
17 reflex response for me to call upon the statistician.

18 [Laughter]

19 So, perhaps Dr. Costantino would like to look up
20 the confidence intervals to precisely address your question,
21 and perhaps you might have another one as he is looking
22 those up.

23 DR. ALBAIN: I have the same question for the
24 reduction in risk of invasive cancers by your predefined
25 risk strata by risk.

1 DR. COSTANTINO: I don't have the exact confidence
2 limits here with me, but I can tell you that --

3 DR. WOLMARK: It was an excellent question
4 nonetheless!

5 [Laughter]

6 DR. COSTANTINO: -- that the relative risk was
7 about 50 percent. The confidence limits for that individual
8 year approached statistical significance. But there was no
9 indication that there was a difference in the hazard rate
10 over time. I think that is the more important question,
11 were the hazard rates constant over time? And, all the data
12 that we have analyzed, including some of the data that was
13 done independently by the FDA, indicate that the hazards are
14 constant over time. So, there is no suggestion that there
15 might be differences over time.

16 DR. ALBAIN: And what were those generally, those
17 hazards?

18 DR. COSTANTINO: Well, about 6/1000 is what it is
19 in the placebo group and about 3.4/1000 in the tamoxifen
20 group.

21 DR. ALBAIN: Thank you.

22 DR. DUTCHER: Dr. Sledge?

23 DR. SLEDGE: I have several questions I want to
24 ask. If one looks at the hazard rates for endometrial
25 cancer -- I would echo my esteemed colleagues on what a

1 wonderful study this is in terms of its design and
2 development, but I will tell you, as a practicing medical
3 oncologist who takes care of breast cancer patients, I
4 pretty much felt I knew the answer before the study was
5 started in terms of a chemoprevention effect. I think many
6 of us who have worked in this field for many years felt that
7 tamoxifen was a chemopreventive drug before the trial was
8 ever started. So, this primarily comes down to the risk-
9 benefit questions rather than the true scientific question
10 of whether or not it can prevent breast cancer.

11 If you allow for that, I think a number of
12 important questions come up. Let's start with the
13 endometrial cancer question. If I am reading the numbers
14 correctly, 37 percent of the women had a prior hysterectomy
15 and 31 percent of the women were premenopausal. The figures
16 that we were given in terms of hazard rates are hazard rates
17 for the general population of women in the trial but, of
18 course, if I go out to the clinic next week with a woman who
19 is postmenopausal with a uterus, the general hazard rate
20 from the trial is pretty useless in terms of me speaking to
21 that patient. So, what is the hazard rate for a
22 postmenopausal woman who has an intact uterus of getting
23 endometrial cancer in any given year?

24 DR. COSTANTINO: Actually, I did indicate that
25 these are the hazard rates based on women with uterus

1 according to their age. So, these hazard rates you see are
2 exactly what you are asking for. So, it is 3.21/1000 women
3 who have a uterus.

4 DR. SLEDGE: Postmenopausal?

5 DR. COSTANTINO: Over age 50 or under age 50. We
6 used age here as a categorization for menopausal status, as
7 an approximation.

8 DR. SLEDGE: Okay, thank you. The second question
9 again relates to the question of risk. The proposed
10 indication is for women with the risk of a 60-year old and,
11 yet, the average risk of the women entering the trial was
12 considerably higher. Since this is largely a risk-benefit
13 issue, what do we say to a woman who doesn't have quite as
14 high a risk as the woman who entered the trial in terms of
15 whether she should go on tamoxifen or not? I looked in the
16 package insert, and the package insert basically says women
17 went into the trial based on the Gail model. It gives us a
18 number of scenarios in terms of who should be considered for
19 tamoxifen, and then after all the scenarios are given it
20 says that these scenarios only account for 17 percent of the
21 women who went into the trial. How is the average general
22 internist or OB-GYN out in the community supposed to decide
23 who is going to go onto this trial?

24 DR. WOLMARK: Well, I think obviously the
25 information presented today is only relevant for those

1 individuals who met the criteria of increased risk as
2 defined by the BCPT which was, in turn, a modification of
3 the Gail model. I think it is incumbent on us to define
4 whether that individual is, in fact, at increased risk and
5 meets the eligibility criteria for entry into the BCPT
6 protocol.

7 There have been a number of actions that have been
8 taken to widely disseminate this information, to make it
9 user-friendly, and also to be readily available to both the
10 physician or to the individual who is considering the use of
11 tamoxifen. Perhaps Dr. Leslie Ford could comment on what
12 these efforts have been up to this point.

13 DR. FORD: The NCI has obviously been very
14 interested in the issue of how we communicate breast cancer
15 risk to women, both in the context of this trial and in
16 other work that we do. One of the things that we have been
17 working on since the April announcement has been a user-
18 friendly way of assessing a woman's risk of developing
19 breast cancer based on the Gail model, and it has gone
20 through some very early data testing but we are about to
21 start distributing what we call our breast cancer risk
22 assessment tool. It is available by request through our
23 cancer trials web site.

24 We will also be sending copies to the major
25 medical societies, and announcing its availability in the

1 newsletters of the major advocacy organizations and medical
2 societies for distribution. The NSABP will also be
3 distributing these risk assessment tools so women and their
4 physicians can, in a sense, plug in their risk factors and
5 determine what their 5-year time risk is of developing
6 breast cancer and whether it was similar to the women that
7 participated in the study.

8 DR. SLEDGE: I think that is absolutely crucial
9 for a drug like this because I can tell you, looking at the
10 package insert, it is definitely not user-friendly in terms
11 of trying to determine --

12 DR. WOLMARK: Is there a package insert that is?

13 [Laughter]

14 DR. SLEDGE: I think most package inserts are
15 pretty simple. I think for this drug, if we are talking
16 about adjuvant therapy for breast cancer, it would be a lot
17 easier to describe.

18 DR. HONIG: May I make a comment? I would just
19 add also that in addition to those tables of risk that are
20 in the label as it stands now, if you add in the other
21 categories such as preceding diagnosis of LCIS or age, it
22 actually accounts for a little over 50 percent of the
23 profiles of the women who went on the study. It is not 100
24 percent, obviously, but it is a little over half.

25 DR. SLEDGE: And that is not clear in the package

1 insert. If I am reading the results correctly, tamoxifen is
2 not eliminating the largest tumors; it is not eliminating
3 the most node-positive tumors; and it is not eliminating the
4 estrogen receptor negative tumors, the ones that we
5 typically think of as bad actors from a survival standpoint,
6 which I think is what patients should be interested in, in
7 the long run. This might suggest a lesser long-term
8 survival advantage.

9 DR. WOLMARK: Well, I am not sure that we are not
10 eliminating the larger tumors, or that we are not
11 eliminating tumors with four or more positive nodes. I
12 mean, these are the characteristics of the tumors that we
13 see that are evolving on tamoxifen.

14 As far as what the ultimate outcome is going to
15 be, I think if you can eliminate breast cancer at some point
16 in its evolution, I think we have no way of knowing whether
17 that breast cancer would go on to become virulent and
18 eventually kill the patient. So, I don't think that we can
19 really comment with any degree of accuracy on what the
20 ultimate effects are going to be vis-a-vis perhaps a less
21 than expected impact on survival. I think the fact that we
22 can reduce it by 45 percent will ultimately translate into a
23 prolongation in overall survival.

24 I don't think that there is any evidence that we
25 are selecting out a more virulent variety of breast cancer

1 as a result of the use of tamoxifen, and I think that we
2 have to emphasize the fact that there has been I think a
3 very clearly defined reduction in the overall rate of
4 invasive and non-invasive cancer. Beyond that, I think we
5 can't speculate.

6 DR. DUTCHER: Miss Cassel?

7 MS. CASSEL: I am here today as a patient
8 representative since I am considered high risk and a target
9 population should the drug be approved. How long would you
10 prescribe the tamoxifen for me, so to speak, and at what
11 age? If I have been high risk for the last ten years, at
12 what age would you prescribe it? At forty? At fifty? And
13 for how long?

14 DR. WOLMARK: The duration of tamoxifen that was
15 used in this trial was for a period of 5 years, and we think
16 that is an appropriate interval to use. Of course, the
17 question that comes up is how do you know that 10 years
18 wouldn't be better? Well, the answer is we don't know since
19 that clearly was not tested in this trial.

20 But we do have some information from NSABP
21 protocol B-14, where we did compare 5 years versus 10 years
22 of tamoxifen in patients who had a personal history of
23 breast cancer who were negative, and whose breast cancers
24 were receptor positive. There, it was demonstrated, to our
25 surprise, that 10 years was not only not better than 5 years

1 relative to the index cancer but was slightly worse. But of
2 greater significance, addressing your question, is that
3 there was no additional incremental benefit to the
4 contralateral breast for the additional 5 years of therapy.
5 So, we believe that 5 years is the optimum time until data
6 to the contrary appear. So, I would suggest 5 years.

7 As far as when it should be started, I mean, from
8 my perspective, I think it should be started as soon as it
9 is known that the risk is such that it would make the
10 patient eligible. If one has a 35-year old woman who is of
11 such risk that she would fit the eligibility criteria for
12 the NSABP study, I think that would be the time to initiate
13 5 years of tamoxifen. I see no virtue in waiting an
14 additional 5 years to let the risk increase to start at a
15 certain arbitrary time in the future.

16 MS. CASSEL: I am also concerned, in talking to
17 some of the target population, that women have a feeling
18 that is a false safe feeling -- I have the drug, almost as a
19 birth control pill, and I can just take it and not worry
20 about it. I am afraid that they will forget their self-
21 breast exam, their mammogram. This is the feeling of some
22 of the women.

23 DR. WOLMARK: Well, I think we have to be very
24 cognizant of that, and I think that we have to indicate very
25 clearly that this is not a substitute and that we have to

1 continue to exercise the standard of medical care and the
2 standard of screening.

3 DR. DUTCHER: Dr. Raghavan?

4 DR. RAGHAVAN: I have just a couple of questions.
5 I always get a little nervous when two-thirds of the deaths
6 on a list are listed as "other." I recognize that the other
7 deaths from placebo are more common than from tamoxifen, but
8 would you give us a little more information about that broad
9 category?

10 DR. COSTANTINO: I believe a complete list is
11 included in the document that you were provided, but to give
12 you some examples -- let's see, we talked about the
13 breakdown of the cancers -- I am not sure how much detail
14 you want me to go through. We have about 20 different
15 causes, but these are deaths due to brain cancer, 3 versus
16 1; breast cancer 5 versus 3; colon, 1 and 1; endometrial 1;
17 lung cancer 10 and 8; ovarian cancer 1 and 2; lymphatic
18 system 4 and 1; pancreas 6 and 2. Of course, the first is
19 the placebo arm.

20 Moving down to heart disease, ischemic heart
21 disease 12 and 12; stroke 3 and 4; pulmonary embolisms 0 and
22 3; unknown causes 4 and 4. Then there were 9 and 7
23 miscellaneous causes, which accounted for 11 different
24 categories which, from the top of my head, I don't really
25 know. But there was no indication that there was any type

1 of cause of death which was predominant arm more than in the
2 other.

3 DR. RAGHAVAN: And was there a systematic
4 requirement for autopsies where possible?

5 DR. COSTANTINO: There was no requirement for
6 autopsy. We did obtain the death certificates and we did
7 obtain information from autopsy if it was performed, but
8 there was no requirement that autopsy be performed. In
9 other words, this is a community-based study. So, we had to
10 accept whatever standard of care is going on in the
11 community.

12 DR. RAGHAVAN: You commented that there was
13 really, I guess, an anticipated absence of ocular problems
14 and, in fact, maybe a reduced level compared to what was
15 expected. Did you have a mechanism where the participants
16 were actually routinely examined by physicians looking for
17 specific indices?

18 DR. COSTANTINO: There was no routine examination.
19 Our follow-up consisted of at every visit there was a series
20 of questions that the women were asked. The first question
21 was "have you had an eye exam since the last time you
22 visited our clinic, and if you did, what were the findings
23 from that eye exam?" There was also a series of questions
24 specifically aimed at determining vision changes, asking
25 them specifically "have you noted changes in your vision? Do

1 you have more difficulty driving at night?" or different
2 types of things which were included in the questionnaire.
3 So, we have all these screening types of things.

4 Also included as part of our follow-up was that
5 the institutions were required to obtain discharge summaries
6 documenting the diagnosis for all incidents for inpatient
7 and outpatient visits. So, from these types of things there
8 is another mechanism for us to identify women who might have
9 had eye surgeries or eye problems that required some type of
10 inpatient or outpatient care. But we did not have a routine
11 eye exam.

12 DR. WOLMARK: The data from protocol B-14, the
13 that trial where some 303 patients were evaluated for eye
14 changes, that too was a tamoxifen versus placebo controlled
15 trial. That was done in a definitive manner with
16 ophthalmologic examinations, and there I think it was noted
17 prospectively that the changes in the retina, or crystals,
18 or edema, or macular degeneration was not in evidence.

19 DR. RAGHAVAN: My final question, Norm, if you
20 look at your Gail model, the results are really very
21 impressive for the 5-plus group, and there clearly is a
22 difference with low level of risk, and I am also struggling
23 a little in terms of the hazard ratios in the less at risk
24 group. Can you talk about that a little bit?

25 DR. WOLMARK: Well, Jo showed a slide based on

1 risk categories, and in each category there was a reduction.
2 How does one translate that into clinical practice? I think
3 the report from the FDA to ODAC which summarizes our view, I
4 think, very clearly is that it really boils down to an
5 individual choice and an interpretation of risk and benefit,
6 and not every individual will do that in the same way. I
7 think we have to provide the potential participant with a
8 clear overview of the information, given in a very
9 definitive manner, and then I think it becomes a matter of
10 individual choice, particularly for those areas that you
11 allude to, where the risk is below the 5 or the 6 that you
12 allude to.

13 DR. ALBAIN: Just to follow that up, and then I
14 have a new question. At least in your briefing book the
15 hazards do cross the confidence intervals around the
16 hazards, cross 1, in some of these other subsets. Your
17 predefined strata for risk that you put into the
18 randomization were a bit different than these that appear
19 here. Could you comment on what the hazards actually are
20 for the confidence intervals?

21 DR. COSTANTINO: When we stratified at
22 randomization we used relative risk. Those are categories
23 of relative risk. Actually, the relative risk was defined
24 as your 5-year risk relative to an individual of the same
25 age and race but who did not have any risk factors. The

1 reason that we decided to use absolute risk as the
2 categorization is because absolute risk is a much cleaner
3 mechanism to do that. Two people could have the exact same
4 relative risk but have absolute risks which are totally
5 different. Therefore, when we did the analysis we wanted to
6 control for that factor, and the easiest way to do that is
7 to stratify by levels of absolute risk.

8 DR. ALBAIN: Then I would like to turn to some
9 other populations at risk, in particular DCIS and the
10 African-American population. Certainly, you were not
11 choosing DCIS as a primary endpoint but your results are
12 intriguing, and we are also aware you have another trial
13 that has addressed that specifically prospectively. Do you
14 feel that the data are robust enough in P-1 to add DCIS to
15 the labeling, or must we wait a bit longer, and how much
16 longer for your other study?

17 DR. WOLMARK: I think we must wait, and I don't
18 think we will be waiting too long. DCIS was not an entry
19 criterion for this trial. So, I think we have to rely on
20 the data from B-24 and B-17 prior to that, which I think
21 will probably require a different session of this group.

22 DR. ALBAIN: But you did show prevention of DCIS
23 that was quite striking.

24 DR. WOLMARK: Yes, I think to prevent DCIS --

25 DR. ALBAIN: That is what I mean.

1 DR. WOLMARK: -- based on the entry criteria that
2 we utilized in this trial, very much so. I think it
3 decreases the rates of non-invasive breast cancer,
4 predominantly DCIS. I completely agree with that.

5 DR. ALBAIN: And then the African-American
6 population, you tried very, very hard prospectively to
7 accrue minority communities. Could you comment on that
8 effort, and then how you feel these results could be
9 translated to that population?

10 DR. WOLMARK: I would like to ask Dr. Wickerham to
11 comment on that.

12 DR. WICKERHAM: Dr. Albain, you are right. This
13 is an effort that the NSABP has taken very seriously from
14 the start of the trial, and during the study we spent
15 considerable effort to try to increase accrual from these
16 various populations. Our goal at the outset of the trial
17 was to have a population to reflect women at risk. Despite
18 these efforts, we were not fully successful at that. Only
19 about 3 percent of the women entered are women of color.
20 That really doesn't allow us to make definitive statements
21 relative to these results in those populations. But you
22 should be aware that in our that trials we were more
23 successful in entering women from those groups, 10-12
24 percent, 15 percent in some of our trials. B-14, which in
25 many ways forms the basis for the prevention trial, has been

1 evaluated and analyzed relative to response to tamoxifen in
2 these populations, and we clearly see no difference in the
3 outcomes.

4 DR. DUTCHER: Dr. Ozols?

5 DR. OZOLS: Getting back to the risk again, the 2
6 major side effects, endometrial cancer and thromboembolic
7 disease, and the 3 deaths in the that group with the
8 pulmonary emboli, can you get any better profile on which
9 women, you know, may be at risk for those 2 toxicities? The
10 traditional risk factors associated with endometrial cancer
11 -- diabetes, hypertension, obesity, are those heightened by
12 tamoxifen? Likewise, can you identify anybody who may be at
13 higher risk for developing pulmonary emboli?

14 DR. WOLMARK: Well, we obviously examined that,
15 and we are not able to come up with a profile that would
16 identify a subpopulation that would be at inordinate risk,
17 such that they could be eliminated from entry into this
18 trial. We did, however, a priori eliminate those
19 individuals who had a previous personal history of deep vein
20 thrombosis or pulmonary emboli. But examining the actual
21 data of the population that was entered we could not define
22 characteristics that would be associated with increased risk
23 for those events.

24 DR. DUTCHER: Just to follow up on that, about 25
25 percent of people who were screened and met eligibility

1 actually entered the trial, and a comment was made about
2 medical reasons for exclusions. Was it medical exclusion or
3 was it logistic exclusion? What was the drop-off between
4 those that met the eligibility and those that actually
5 entered the study?

6 DR. WOLMARK: Following the risk assessment, those
7 who were eligible from the eligibility and those who were
8 actually randomized.

9 DR. COSTANTINO: I think the biggest reason for
10 the drop was that women were not interested in participating
11 in the trial. They did not go forward to have the full-
12 fledged medical evaluation. A little over 14,000 women
13 actually went to that level to be medically evaluated to
14 come into the trial, and out of that 14,000-plus 13,388 were
15 actually randomized. So, the major reason for the drop from
16 57,000 down to the 13,000 was because women were just not
17 interested in being a participant in the trial.

18 DR. MARGOLIN: I have what I think will turn out
19 to be 3 questions. The first one is sort of a biology
20 question and it pertains to the question that Miss Cassel
21 asked earlier on about the best timing for intervention in
22 patients who are identified as subjects at risk. It is just
23 hard to imagine that 5 years of that at basically any time
24 in a woman's life is going to infer a permanent change in
25 her likelihood of developing invasive or non-invasive breast

1 cancer.

2 I am wondering, based on preclinical models or
3 based on any biology that anybody knows, whether, say, early
4 treatment and then some period of time off therapy and then
5 reintroduction of therapy, or if we can somehow improve on
6 what we are trying to do here to prevent breast cancer.

7 DR. WOLMARK: I think we are really limited by the
8 data that we have, unfortunately. I mean, we would like to
9 know where tamoxifen acts in this situation. We would like
10 to know what the molecular mechanisms are. Yet, this was a
11 clinically driven trial and we are left with clinical data.

12 Is there an optimum time at which the intervention
13 should be undertaken that would be better than just starting
14 it when the relative risk becomes apparent? If one were to
15 undertake such a trial clinically, it would require enormous
16 numbers of participants with an enormous amount of support
17 from the agencies, to whom we are forever grateful -- the
18 NCI and Dr. Ford -- and I don't think at this time it is a
19 practical endeavor. I mean, we would much rather go on and
20 determine if we can find drugs that perhaps have the same
21 efficacy with fewer side effects which would make that issue
22 moot to a certain extent because they could be given longer
23 and with greater degree of definitive intervention.

24 DR. MARGOLIN: Thank you. My second question is I
25 believe the study was noted as being insufficiently powered,

1 or at least was closed at a point where it was
2 insufficiently powered to detect survival differences. Is
3 it expected that after a certain number of events have
4 occurred, after a certain follow-up, that we will be able to
5 see a potential survival difference, or is that just not
6 going to be possible with this database?

7 DR. WOLMARK: If we were to have primarily done a
8 survival endpoint, I think we would have required an
9 additional 10 years of follow-up and a considerably greater
10 sample size, but I would like Dr. Costantino to comment on
11 what it would have taken to have configured this trial for a
12 survival endpoint for breast cancer.

13 DR. COSTANTINO: We never did design the trial to
14 be able to have the power to detect a survival difference
15 because it would have required doubling the sample size and
16 much longer follow-up, as Dr. Wolmark indicated. We do plan
17 to continue following those women. We will learn more
18 information about survival benefits, but it is highly
19 unlikely that we will ever have statistical power to show a
20 significant difference in survival. It requires larger
21 numbers and a longer follow-up period.

22 DR. MARGOLIN: I have one additional question,
23 whether there are plans to go back and do some genetic
24 studies of subjects enrolled in order to detect potential
25 interactions with BRCA 1 and 2 or other genetic risk

1 factors.

2 DR. WOLMARK: Yes, that is I think an important
3 commitment and those trials are about to be launched.
4 Certainly, that is a very important issue. We have
5 collected serum and lymphocytes from the women who
6 participated in this trial, and we will start to analyze
7 BRCA 1 and 2. Mary Clare King will be doing this in the
8 very near future. We will be able to determine definitively
9 what the benefit is in those individuals who have BRCA 1 and
10 2 abnormalities. Additional comments?

11 DR. SCHILSKY: A quick comment and a question. It
12 is striking to me that the leading cause of cancer death in
13 this study is lung cancer. It is too bad tamoxifen doesn't
14 prevent that.

15 DR. WOLMARK: Oh, there wasn't a reduction in
16 that?

17 [Laughter]

18 DR. SIMON: The question I guess has to do with
19 how the participants in the study have now been informed of
20 the results, whether women who were randomized to placebo
21 have been advised to take tamoxifen and, if so, how might
22 that confound the future interpretation of the results with
23 continuing follow-up?

24 DR. WOLMARK: We have a covenant with the
25 participants that they would be among the first to know the

1 data, and we did not want to repeat the unfortunate events
2 of some of the earlier episodes that affected this trial
3 where the participants learned what was going on from the
4 newspaper. Despite our diligent efforts to avoid that, we
5 were not entirely successful in this trial since the data
6 were previewed in a well-known newspaper prior to the time
7 that we were able to transmit that information through a
8 widely publicized press conference that I believe took place
9 on April 4.

10 The participants have been formally apprised.
11 That process was in place as the data were being
12 disseminated, and those individuals who were on placebo are
13 given the opportunity to go on 5 years of tamoxifen. Zeneca
14 has been very gracious in providing that medication to these
15 participants. Also, those individuals who did not complete
16 the 5 years of tamoxifen who were randomized on this trial
17 will have the opportunity to complete the full 5 years of
18 tamoxifen.

19 As far as what does that do to our ability to
20 continue to monitor the differences between tamoxifen and
21 placebo, clearly those are attenuated in that this trial has
22 been unblinded and that we will now have crossovers, but to
23 what extent we do not know as yet. We will obviously
24 continue to follow these patient cohorts and, certainly,
25 those that are on tamoxifen will continue to provide data,

1 and we believe we can continue to model the events in the
2 placebo arm. So, I think it will provide useful information
3 but the primary endpoint of the trial is obviously affected
4 by the unblinding.

5 DR. DUTCHER: Dr. Simon?

6 DR. SIMON: I have several questions. One,
7 several people have noted the concern about the limited
8 follow-up. There is not a whole lot that can be done about
9 that, but you have basically presented data that was
10 available to the data monitoring committee last January.
11 Can you give us updated data on number of events in the
12 placebo and tamoxifen group for the 3 age groups for
13 invasive breast cancer?

14 DR. WOLMARK: Obviously, you know, the data
15 provided to this committee are the data that are going to be
16 utilized so I would rather not go into the data for the
17 updated analysis, only to tell you that the differences are
18 even more compelling.

19 DR. SIMON: Why do you not want to give us the
20 updated data?

21 DR. WOLMARK: I think that we had a cut-off that
22 we all agreed to a priori; that this was submitted to this
23 committee for their review; and I think that is the data set
24 that is going to have to be used to make the decision.

25 DR. SIMON: Well, typically, you know, when you

1 present data to a data monitoring committee that is not up
2 to date to that minute anyway. You know, there is a
3 distribution of time since patients were last seen and
4 evaluated. So, that data actually may be a year old at this
5 point really in terms of what it represents in terms of when
6 patients were last seen.

7 Well, let me go on to my next question. Do you
8 have information about the hazard rate over time for the ER-
9 negative cases, particularly in the tamoxifen arm?

10 DR. WOLMARK: Jo, the hazard rate for the ER-
11 negative cases in the tamoxifen arm?

12 DR. COSTANTINO: Over time?

13 DR. WOLMARK: Over time.

14 DR. COSTANTINO: I don't have that with me.

15 DR. WOLMARK: The answer was no, he does not have
16 it with him, and he wondered why you were asking the
17 question.

18 DR. SIMON: Well, because really, you know, one
19 question is whether you are treating with tamoxifen in
20 subclinical cases that might have materialized as ER-
21 positive tumors -- by the selection process will materialize
22 as ER-negative tumors, and whether you will see that there
23 is some trend of that happening in later periods of follow-
24 up.

25 DR. WOLMARK: Jo?

1 DR. COSTANTINO: I can tell you that we didn't see
2 that kind of trend. If you consider that the ER-positive
3 tumors were 80 percent of the tumors and we did see hazard
4 rates over time that were constant, we would suspect that
5 just taking out those majority of things is not going to
6 change the pattern, but I didn't see the type of pattern
7 that you were suggesting.

8 DR. SIMON: I have a couple of other questions.
9 One is that I have some concern about what we are supposed
10 to conclude in terms of what group of patients these results
11 apply to. One, it is one thing to say what the eligibility
12 criteria were and that is not to say what patients actually
13 entered the trial. In terms of communicating these kind of
14 results in terms of who these results apply to, it is really
15 not an issue of even simplifying in a user-friendly way the
16 Gail model. The real issue is what women went into this
17 trial, because there may be women who were eligible
18 according to the Gail model but if they are not well
19 represented in this trial then we probably can't have much
20 confidence that the results apply to them. I guess I
21 haven't really seen a clear explanation of what the women
22 looked like who went into this trial.

23 I guess the second issue is that it is one thing
24 to say that the risk of breast cancer of a woman is
25 equivalent to that of a 60-year old woman, and it is

1 something else to say that the results actually apply to a
2 60-year old woman. Most of these women, I think two-thirds
3 of them or something like that, were under the age of 60 and
4 they got into this trial because they had other risk
5 factors. So I think we have to be somewhat careful in
6 assuming that because the Gail model said that their risk
7 factor was at least the risk of a 60-year old woman that the
8 results actually apply to a 60-year old woman. The only
9 basis we have for that is, you know, where you break it down
10 by age. You know, that is a relatively small subset. It
11 looks like the effect is just as great for them as it was
12 for the other women. But I think we really have to be very
13 careful in trying to sort out who the results apply to.
14 That is sort of a comment, not a question.

15 I do have one other question, and I would like to
16 sort of get your general medical interpretation of it.
17 There were 69 fewer cases of invasive breast cancer on the
18 tamoxifen arm, but there were 19 additional cases of
19 endometrial cancer. There were 39 more cases of vascular
20 events on the tamoxifen arm, and there were 38 more cases of
21 cataracts requiring surgery. So, how do you make that risk-
22 benefit equation?

23 DR. WOLMARK: Well, I don't think it should be up
24 to me nor any other physician or someone who delivers health
25 care to compel anyone to go on tamoxifen or not go on

1 tamoxifen. I think that it becomes an individual decision
2 after the risk and benefits are thoroughly reviewed and
3 after that information is transmitted in a very clear and
4 well-defined manner.

5 Having said that, and since you asked for an
6 opinion, I think that there are categories that, from my
7 perspective, clearly fall out where the benefits
8 unequivocally outweigh the risks. I think those subsets
9 would include those women who are under 50 years of age
10 where the excess of adverse events is small; those women who
11 are over 50 years of age who have had hysterectomies, and in
12 our patient population that accounted for a substantial
13 proportion; those women who have had a personal history of
14 lobular carcinoma in situ; and those women who fulfill the
15 eligibility criteria and also have atypical hyperplasia. I
16 think in those instances, from my perspective, the benefits
17 clearly outweigh the risks.

18 I think in the other categories it boils down to
19 an issue of personal choice and personal decision. I think
20 what some people would consider as inordinate risks others
21 would gladly accept.

22 DR. DUTCHER: Miss Beaman?

23 MS. BEAMAN: Would you reference the data that you
24 have for the women who were taking tamoxifen and developed
25 breast cancer as to whether this cancer was of the more

1 aggressive type?

2 DR. WOLMARK: I think in the slides that were
3 presented relative to the distribution of women who did
4 develop breast cancer while they were on tamoxifen there
5 certainly was no evidence, from a nodal standpoint as well
6 as a tumor size standpoint, that the tumors that developed
7 on tamoxifen were more aggressive or more virulent than
8 those tumors that developed in women who were taking
9 placebo. So, there is no evidence that tamoxifen culls out
10 a more virulent subset of breast cancer while suppressing
11 the more benign forms of breast cancer. I think that
12 appears in the slides that you have in the handout.

13 DR. DUTCHER: Dr. Johnson?

14 DR. JOHNSON: Actually, I want to follow-up, if I
15 may, on what I think Dr. Sledge addressed earlier.
16 Certainly, nodal status is one of the most important, if not
17 the most important, prognostic factors and size as well but
18 there is no mention about tumor grade here which clearly has
19 an impact. If all 154 tumors that appeared on placebo were
20 low grade and all 85 on tamoxifen were high grade tumors
21 there might, in fact, be a difference in outcome even though
22 the other factors were identical. I wonder if maybe you
23 have some data regarding grade. I didn't see any of that
24 information.

25 DR. WOLMARK: No, we have no data on grade. I

1 think it would be nice to know what the HER2 status of the
2 tumors was, and is, and will be, but we don't have that
3 information.

4 DR. JOHNSON: Is that information that we can
5 expect will be forthcoming in the future, or is it simply
6 something that won't be followed-up upon?

7 DR. WOLMARK: We are collecting slides and blocks,
8 which the protocol has mandated, on all events that occur in
9 this study and, hopefully, that information will eventually
10 be forthcoming.

11 DR. JOHNSON: And, if I may follow-up with one
12 further question, the death rate from breast cancer, as has
13 been pointed out, is really rather small in the trial
14 overall and it is similar in the 2 arms which, actually, is
15 sort of interesting given the fact that the number of
16 overall cancers is twice as great on the placebo arm. So,
17 do we have any information about the status of those women
18 who have developed breast cancer at this juncture? Again,
19 just to take the extreme, if all 85 of the women on the
20 tamoxifen arm now have stage 4 disease and all 185 on the
21 placebo arm have stage 1 disease there may be an indication
22 of a difference in the aggressiveness of the tumors. Do we
23 have that data?

24 DR. COSTANTINO: We are collecting information
25 regarding recurrence, and we do have that but it is not

1 complete at this stage. Dr. Paik is in the process of
2 reviewing all the pathology slides as we speak but we do not
3 have that information accumulated as of yet.

4 DR. WOLMARK: But, David, why would you think that
5 there would be that disparity?

6 DR. JOHNSON: Well, because unlike George, I don't
7 have the ability to see the future.

8 [Laughter]

9 He predicted that this drug was going to work and
10 I just didn't realize it was going to work. So, I was
11 really happy that the study was done. So, you know, data is
12 what really drives my decision-making, or I like to think it
13 does. So, I don't believe that is the case. Just because I
14 asked the question doesn't necessarily mean I believe that
15 is the answer. I think I would like to know, and I am sure
16 everyone sitting over here as well as in the audience would
17 like to know those data as well. And, if it were to turn
18 out that way, then it would be disturbing.

19 DR. WOLMARK: George, perhaps you could save us a
20 lot of time by telling us raloxifene versus tamoxifen for
21 the STAR trial?

22 [Laughter]

23 DR. SLEDGE: I would be glad to tell you that
24 afterwards.

25 DR. DUTCHER: Dr. Margolin?

1 DR. MARGOLIN: I have one question and one
2 comment. The question is that -- unless I have missed it
3 and it has already been presented -- we have heard at
4 various times well before this meeting that the subjects who
5 were accrued or registered to this trial turned out to have
6 higher at least relative risk of breast cancer than was
7 expected and was planned for the original accrual. I am
8 curious to know, at the end of the trial, at least based on
9 the placebo arm data, whether the incidence of breast cancer
10 reflected what was expected based on that revised accrual
11 estimate.

12 DR. COSTANTINO: Indeed, it did. It was about
13 double what we expected.

14 DR. MARGOLIN: Thank you. My comment is that if
15 the drug is approved for this indication, and I think that
16 the world, certainly the U.S. but the world really worships
17 what the NSABP says and does, and the NCI as well, and it
18 would be very crucial that very firm guidelines be given in
19 terms of selecting subjects for that with this type of
20 intervention.

21 DR. ALBAIN: I have a question for the sponsor.
22 Your choice of wording in the indication, using the word
23 "prevention." Typically, when that word is used you have
24 the luxury of long-term follow-up, in particular like we do
25 in B-14 and the worldwide overview for prevention of

1 contralateral breast cancers. Would you consider perhaps
2 softening that statement to say reduction in risk of
3 occurrence of first cancers because that is really what we
4 have seen quite dramatically by this data?

5 DR. SIMON: Right. Actually, that is what was
6 seen in the overview also in the contralateral breast, and
7 we would agree with you. I think "prevention" means it
8 doesn't occur and it also means risk reduction. What we are
9 looking for here is a way of getting the message across to
10 the average person. It means something to us. The trial
11 was called prevention; Dr. Leslie Ford's group is
12 prevention. We are not preventing all breast cancers.
13 Clearly we are not. But this is a major step forward, and I
14 would like to think that we could retain the term
15 "prevention," describing it as it was described in the
16 manuscript which states that it is a reduction in the number
17 of breast cancers that are anticipated.

18 DR. DUTCHER: Dr. Simon?

19 DR. SIMON: The women over the age of 60, were
20 they a representative group of women or did they have high
21 risk features?

22 DR. COSTANTINO: Actually, if you look at the
23 hazard rate in the placebo, you can see that their risk of
24 breast cancer was among the highest of all the women in the
25 trial. So, it is true that being over 60 was an eligibility

1 criterion and so you got in, so your risk could be as low as
2 1.66 theoretically but, indeed, the rate and the hazard in
3 the placebo group was over 7/1000. So, they were
4 essentially comparable in risk to the women in the other
5 groups. As far as being representative, I think you mean
6 representative of the general population?

7 DR. SIMON: Right.

8 DR. COSTANTINO: I don't think any of these women
9 are representative of the general population because they
10 have been selected out to be at high risk for breast cancer.

11 DR. SIMON: So, how do we know --

12 DR. COSTANTINO: They volunteered for the trial.

13 DR. SIMON: So, how do we know that these results
14 apply to a typical spectrum of women over the age of 60 in
15 the United States? How do we know who these results apply
16 to?

17 DR. COSTANTINO: We know the results are
18 consistent across all categories or risk --

19 DR. SIMON: No, but you say age and you show over
20 60, but these are not a representative group of women over
21 60.

22 DR. COSTANTINO: That is true of any clinical
23 trial. I think the best we can say is that within the trial
24 we were not able to demonstrate any population which did not
25 show benefit from the treatment; that we can think of no

1 reason to conclude that the women in the trial, as far as
2 effect is concerned, would not represent the general
3 population. So, I don't see that we can do more than that.

4 DR. SIMON: So, what is your proposal for who you
5 are recommending this for in terms of an indication? Is it
6 women whose risk would satisfy the Gail model? And, if that
7 is the case, that would include all women over the age of 60
8 but we don't really have any indication that these results
9 apply to typical women over the age of 60. So, I find that
10 a real inadequate specification of who these results apply
11 to. Can you clarify it for me?

12 DR. COSTANTINO: I just don't understand your
13 argument, Richard.

14 DR. SIMON: Well, maybe I can clarify it. You
15 have a study of high risk women and this study seems to have
16 shown a benefit of tamoxifen for this group of women, and
17 now we are trying to figure out who this group of women are
18 before we wind up recommending this drug, with its side
19 effects, for all women. If we recommend it for all women
20 whose risk is at least equivalent to that of 60-year old
21 women, then we recommend it to a large group of women over
22 the age of 60 in this country who probably may not have been
23 represented at all in this clinical trial. You may have
24 gotten a result that worked because of some genetic features
25 that these women had that gave them other high risk features

1 that really don't have anything to do with your typical --

2 DR. COSTANTINO: The eligibility criteria for this
3 trial was simply being over 60. It had nothing to do with
4 the Gail model. We are recommending that those same type of
5 criteria be applied to women who are considered candidates
6 for the drug. Simply being over 60 makes you a candidate so
7 that you can go forth and make these kind of comparisons of
8 the risks and benefits and decide. For women who are under
9 60, we are recommending using the Gail model just as it was
10 applied as eligibility criteria. So, our recommendations
11 are pertaining specifically to the exact same type of women
12 who were deemed eligible for the trial.

13 DR. SIMON: Well, that is what I was saying.
14 There is a difference between being eligible and who
15 actually got into the trial. I think when you make
16 recommendations as to who the results of the trial apply to,
17 you have to look at who was in the trial, not who was
18 eligible for the trial.

19 DR. WOLMARK: Richard, I really agree with Jo on
20 this. I think that this is an inherent problem in every
21 clinical trial you do. You set out the eligibility criteria
22 and whoever actually enters the trial may or may not, you
23 know, fulfill the entire spectrum of the eligibility
24 criteria but that does not justify anyone from going back
25 and retrospectively culling out a subset to say that this is

1 more representative of those individuals who actually
2 entered the trial. We don't have the power to do that, from
3 my perspective and, more importantly, I don't think we have
4 the right to do that.

5 DR. SIMON: I am just trying to figure out whether
6 the results of this trial apply to the typical woman over
7 the age of 60 who doesn't have other high risk features.

8 DR. DUTCHER: Dr. Honig?

9 DR. HONIG: We were concerned about that also with
10 that particular age group, and I don't have the numbers with
11 me but we looked at women over 60 to see if, for example,
12 all of them had positive family histories, or a significant
13 proportion had LCIS or atypical hyperplasia, and that was
14 not true. At the time though we did not have the risk disc
15 so we couldn't run the Gail models, but most of them appear
16 to have a combination of the other factors that went into
17 the Gail model, if that helps answer your question in part.

18 DR. WOLMARK: Yes, I think we apply, you know,
19 what we believe and we will be using the criteria for the
20 next NSABP trial, the study of tamoxifen and raloxifene as
21 they were used for the BCPT, with the exception that this
22 will be limited to postmenopausal women.

23 I think it would be a mistake and somewhat
24 disconcerting to try and fine-tune the characteristics of
25 patients who would benefit from tamoxifen based on a subset

1 analysis of the NSABP population. I think we should use the
2 criteria as they were applied to the BCPT.

3 DR. LEWIS: I would just like to comment that
4 there was one other criterion that was left out, and that
5 was a discussion with the patient, and we plan, working with
6 the National Cancer Institute, to stress this as a critical
7 part of a decision for a woman which empowers the woman to
8 elect to take tamoxifen. Certainly it is not Zeneca's
9 intention to take all women at 1.66 and say that tamoxifen
10 is right for them. As a matter of fact, in our label there
11 is a sentence which says tamoxifen is not right for all
12 women at high risk -- something to that effect, and we do
13 plan to handle that responsibly.

14 DR. DUTCHER: Dr. Sledge?

15 DR. SLEDGE: I would like to get back to Dr.
16 Albain's comments a few minutes ago. On this question of
17 prevention, I think it is reasonable to ask whether what we
18 are seeing in this trial is true prevention. If we look at
19 the risk ratio by year, a great point was made that it was
20 pretty consistent over the first 5 years. It is real hard
21 for me to believe that what I have always thought of as
22 chemoprevention, that is to say, the transition from an
23 earlier to a later place along the stage of development of
24 cancer is what you are seeing when you don't see a cancer in
25 the first year of a trial, and I think we have to assume

1 that what we are dealing with in the early years is
2 chemosuppression of existing invasive tumors rather than
3 true chemoprevention.

4 DR. WOLMARK: I would have no argument with that.
5 And what are we seeing in later years?

6 DR. SLEDGE: Well, presumably the later out you
7 get, the more likely you are to be seeing chemoprevention.
8 I don't think there is any argument about that, but I think
9 to say that all of these early cases where we are seeing a
10 difference represent chemoprevention just simply probably
11 isn't true.

12 DR. WOLMARK: Yet, the ultimate effort is to
13 reduce the incidence of invasive cancer, reduce the
14 incidence of non-invasive cancer and its clinical
15 consequences.

16 DR. SLEDGE: I think we can agree on that.

17 DR. DUTCHER: Dr. Margolin?

18 DR. MARGOLIN: I would like to know whether the
19 data on the effect of tamoxifen in this cohort reduced the
20 expected risk of breast cancer down to that of an age-
21 adjusted woman with no additional risk factors, or if it is
22 still higher by some relative risk.

23 DR. WOLMARK: Jo, do you want to comment?

24 DR. COSTANTINO: Let me make sure I understand
25 your question. Your question is --

1 DR. MARGOLIN: Does tamoxifen normalize the risk
2 of breast cancer?

3 DR. COSTANTINO: Did it bring it back to
4 essentially no excess risk? I have not specifically done
5 that analysis to compare the women on the tamoxifen arm to
6 what would be expected, but without having done that I can
7 say I am sure it did not take it all the way down because in
8 general the rate on the tamoxifen arm was about 3.4/1000
9 consistently across all ages, and I know that is not the
10 baseline rate for women who don't have any risks.

11 DR. MARGOLIN: So, that information would be a
12 necessary part of the counseling in terms of the subjects on
13 this treatment.

14 DR. WOLMARK: It reduces it 45 percent overall in
15 this analysis, but not back to baseline.

16 DR. DUTCHER: Dr. Albain?

17 DR. ALBAIN: It is not preventing ER-negative
18 cancers essentially, among a few others probably.

19 DR. WOLMARK: I think one can theorize that is the
20 case.

21 DR. DUTCHER: What is the long-term follow-up plan
22 on this study?

23 DR. WOLMARK: We will continue to follow these
24 patients as long as we and they continue to agree to be
25 followed.

1 DR. JUSTICE: I would just like to follow-up on
2 that question. I think there was perhaps some mis-
3 communication about the updated data. I think I agree with
4 what Dr. Wolmark says, that we don't want the updated data
5 presented today. We will certainly ask to see it, and we
6 will certainly ask to see follow-up data on both efficacy
7 and safety, but we haven't actually seen the updated data
8 and we don't want a lot of different numbers floating
9 around. So, I think that is the hesitation Dr. Wolmark had,
10 not that he is not willing to provide us with it.

11 DR. WOLMARK: I think that was very elegantly
12 stated.

13 DR. DUTCHER: Are there any other questions for
14 the sponsor? If not, we are going to take a 15-minute
15 break. We will be back here at 10:20.

16 [Brief recess]

17 DR. DUTCHER: We are going to begin the FDA
18 presentation.

19 **FDA Presentation**

20 DR. HONIG: Thank you. I will be presenting the
21 FDA analysis of tamoxifen for prevention of breast cancer in
22 women at high risk.

23 [Slide]

24 In every FDA presentation you see a slide similar
25 to this one, but I would like to emphasize that for this

1 review in particular it was truly a collaborative effort to
2 be able to review this much material, on this many patients
3 in such a short time frame.

4 I wish I had time to detail everyone's
5 contributions but I would particularly like to mention
6 several people. Donna Griebel, another medical reviewer in
7 our Division who reviewed and analyzed the case report forms
8 for stroke; Karen Johnson who, prior to her departure from
9 FDA, reviewed all of the case report forms for invasive and
10 non-invasive breast cancer; and Alison Martin, who analyzed
11 and reviewed all the case report forms on the endometrial
12 cancer patients in this study.

13 I would also like to spend a minute talking about
14 the administrative time line because it was certainly a
15 challenge for everyone involved in the application to be
16 able to process and submit this much data, and also to
17 review it in a timely fashion.

18 [Slide]

19 As you have heard, on April 2 the NCI and FDA were
20 notified that there were significant efficacy results in
21 this trial. On April 23, there was a pre-sNDA meeting
22 designed to facilitate the submission of the application in
23 a timely fashion.

24 Along those lines, FDA agreed to accept the report
25 that had been prepared for the ERSMAC committee and the BCPT

1 technical report in lieu of a study report, and also asked
2 that the draft manuscript of P-1 be submitted as soon as
3 possible to us. Of course, the NSABP was busy with a number
4 of other commitments, as well as trying to write that
5 manuscript which was submitted. We waived the requirements
6 for the integrated summaries of safety and efficacy, and it
7 was agreed that the data would be submitted electronically.
8 On April 30, 1998 the SNDA was submitted and, as you can
9 see, we are here 4 months later at ODAC to discuss the
10 results.

11 [Slide]

12 When we initially received the electronic database
13 tables, it was clear that there were some limitations. We
14 didn't have primary data which is usually the type of data
15 that we review. For example, the primary endpoints were at
16 first listed yes/no without dates, and we didn't have any of
17 the characteristics of the breast or endometrial cancers
18 that occurred on study, and we didn't have a complete list
19 of risk factors. But we had multiple discussions with
20 NSABP. We ironed out some of the technical problems in
21 transferring the data and, as you can see, we, in fact,
22 worked out a way for these to be submitted.

23 [Slide]

24 We got the first additional set of requested
25 elements on July 23, and the last set of data was submitted

1 to us on August 4 so that we were able to go through the
2 majority of this data in time for ODAC.

3 [Slide]

4 As is usual in a clinical trial, we requested
5 specific case report forms on participants in the trial. We
6 requested those for participants who had died during the
7 study, who had developed both invasive and non-invasive
8 breast cancer, endometrial cancer, DVT, PE and stroke. With
9 those listings we received approximately 625 that were
10 submitted and reviewed in detail by the members of our team.

11 [Slide]

12 What I would like to do during this presentation
13 is cover the following topics. I don't want to go over
14 details that have already been presented by the applicant,
15 and I would like, instead, to concentrate on areas that
16 perhaps we have a slightly different interpretation of or
17 some additional information.

18 [Slide]

19 As you have already heard, NSABP P-1 is a large
20 randomized, double-blind, placebo-controlled trial of
21 tamoxifen for 5 years. Again, you have already heard about
22 the number of participants on study. Most of the data are
23 with reference to this denominator, however, 13,118 had
24 additional follow-up and this is the denominator for certain
25 of the adverse events, such as hot flashes, that we will be

1 discussing later.

2 [Slide]

3 I want to spend just a few minutes on the
4 requirements for trial entry because this has a bearing on
5 how this drug will be used in clinical practice if it is
6 approved.

7 In the trial a multistep procedure was required
8 for entry. In the recruitment phase women were first seen
9 and given information about the trial and had the
10 opportunity to ask some questions about the study. Then if
11 they chose, they could fill out a risk assessment form that
12 listed the risk factors for breast cancer that would be
13 entered into the Gail model.

14 This risk assessment form was then forwarded to
15 NSABP, and in a separate second protocol eligibility
16 assessment the participant returned, having read materials
17 at home, was able to ask and have more questions answered,
18 and was able to discuss the actual risk assessment form
19 generated by NSABP. If at that point she wished to continue
20 with study entry and she was eligible on the basis of breast
21 cancer risk factors, she signed an informed consent and then
22 proceeded with the staging studies required for entry.

23 It is worth noting that all eligibility factors
24 were reviewed by the NSABP as well as by the local
25 institution, and that includes both breast cancer risks and

1 medical conditions.

2 [Slide]

3 In a third, separate visit at the study enrollment
4 phase, the results of the studies were reviewed. If the
5 participant were still willing to go on study and eligible,
6 her informed consent was reaffirmed, and she was then
7 randomized with a number of prospectively specified
8 stratification factors.

9 So, this was a multistep process. There were at
10 least 2 institutions involved in ensuring that the
11 participant was informed and eligible, the NSABP and the
12 local site. I mention this only because it is unlikely in a
13 busy clinical practice that practitioners are going to be
14 able to devote 3 separate visits to this level of detail.
15 So, it is very important that we all develop patient
16 education materials that will allow women to make an
17 informed choice about whether they wish to take tamoxifen or
18 not.

19 [Slide]

20 There were many protocol amendments during the
21 course of the study, however, I would say that there were
22 probably 2 major protocol amendments and you have heard
23 about some of these already. One was that on September 24,
24 1994 a requirement for baseline and annual endometrial
25 screening for newly randomized participants was added.

1 Participants who were already on the trial were offered
2 screening but they could decline and continue on study.

3 Also, in October of 1996 there was a formal
4 decrease in the sample size based on the higher than
5 expected number of events. This had been prospectively
6 specified in the protocol though. It had called for an
7 interim analysis to calculate sample size.

8 [Slide]

9 In terms of on study conduct, the protocol said
10 that you could be unblinded and know your treatment
11 assignment if you developed invasive breast cancer, or if
12 your physician felt that there were medical conditions that
13 warranted knowing the treatment arm.

14 As you might expect, there were some non-protocol
15 specified unblindings. However, whether these occurred
16 because the participants wished to know or the physicians
17 wished to know based on a variety of medical conditions,
18 these were all balanced between the 2 arms. The "other"
19 category is not other medical conditions but, rather, a
20 separate category and you can see overall that there was
21 really no difference. We have examined all of these reasons
22 in detail. They were supplied by NSABP. And, there was no
23 difference.

24 [Slide]

25 In terms of non-allowed medications on study, this

1 is the information that the NSABP had in its database.
2 Hormonal medications, which was an accumulated group of
3 estrogen, progesterones, androgens, as well as a full
4 complement of hormone replacement therapy. They also
5 collected information on oral contraceptive use; the use of
6 open-label tamoxifen and raloxifene. No one on study used
7 raloxifene.

8 I really wanted to spend the time on the top line.
9 As you can see, if you look at the women on placebo and the
10 women on tamoxifen who are listed as using hormonal therapy
11 at any time during the study, the number looks large. But
12 if you really restrict it and look at the number of women
13 who used it while they were taking the study drug, it is a
14 relatively small number. Less than 1 percent actually used
15 these medications. This is in distinction to the European
16 studies, which we will talk about later, which allowed the
17 use of hormone therapy in various forms.

18 There were a few limitations of the database that
19 I will review. We did find some instances in the case
20 report forms where women used hormonal therapy that were not
21 in the database. These were relatively few instances.
22 Also, the database was designed to capture the date of the
23 first use of these medications. So, we don't have duration
24 of use and we don't have multiple events of use.

25 Overall, our impression from looking at the case

1 report forms is that it was still a relatively small number
2 of women who used these medications for short times. I
3 think a good example would be women who had episodes of
4 dysfunctional uterine bleeding who took short courses of
5 Provera several times, for example.

6 [Slide]

7 Compliance, as you have already heard was very
8 high. For women who started their therapy and subsequently
9 discontinued therapy, the most common reasons are listed
10 here -- hot flashes, anxiety, vaginal discharge. The hot
11 flashes and vaginal discharge are consistent with what is
12 already known about tamoxifen and its side effects.

13 [Slide]

14 I am going to move on to the endpoints of the
15 study. First, it is important to note that all events in
16 all participants were reported unless the participant
17 withdrew consent or was lost to follow-up. In oncology
18 treatment trials I think we frequently think about events
19 being reported on drug or within 30 days of stopping drug.
20 This is not the case here. Overall, participants who were
21 followed had all of their events recorded in the database.

22 In our review of case report forms we could find
23 potentially 1 breast cancer that was perhaps not captured in
24 the database. We are still discussing this with NSABP. The
25 NSABP also set the rules up prospectively that the worst

1 event per participant would be recorded. So, if you had
2 angina and then subsequently had an MI, the MI would be
3 recorded but not each individual related event. Similarly,
4 if someone had a TIA and a CVA, it would be the stroke that
5 would be reported in the database.

6 This was true for nearly everything except
7 fractures. That was in distinction where all of the
8 fractures per participant were reported, not just the first
9 fracture.

10 [Slide]

11 So, with regard to invasive breast cancer, you
12 have already heard that there were 154 cases on placebo and
13 85 on tamoxifen. We looked at the number of cases that were
14 diagnosed on each arm after stopping the study drug, and you
15 can see that there are relatively comparable numbers on each
16 arm. Within the follow-up available to us on the study,
17 there was no evidence of a rebound increase in the number of
18 cases after the study drug, tamoxifen, was stopped.

19 We also saw reductions in the number of breast
20 cancer cases in all the prospectively defined subgroups,
21 specified by the sponsor. In fact, we found reductions in
22 every retrospectively defined subgroup that we could think
23 of at FDA.

24 The reductions were seen in participants who had a
25 family history, regardless of the number of affected first

1 degree relatives. We were able to carry this out for none
2 and then 1 through 4, I believe. We also saw reductions in
3 participants who did not have a family history. At first we
4 were concerned that perhaps all the risk and all the benefit
5 was being seen in a subgroup of women who were at risk
6 because of a family history, and that was not true on our
7 review of the database.

8 [Slide]

9 The only subset in which this beneficial effect of
10 tamoxifen was not observed was in women of color. There
11 were 486 non-white women entered on the study despite the
12 really aggressive attempts on the part of the NSABP to
13 recruit more women of color, and there were 9 cases, 3 on
14 placebo and 6 on tamoxifen.

15 We looked at these women in detail. The risk
16 profile of these women didn't difference from that seen in
17 the general population of women entered on the trial. The
18 characteristics of the 2 groups were not any different
19 either. They were not more aggressive or less aggressive.

20 At this point, I suppose you could say that it is
21 unknown whether there is a differential effect in non-white
22 women but we would favor the interpretation that overall
23 women of color made up a small subset of the population and
24 had relatively few events, and that we just don't have the
25 statistical power to make any comments about that.

1 [Slide]

2 In terms of the case report form review of the
3 invasive cases, we agree that all the cases that were
4 reported were, in fact, invasive breast cancer. We assessed
5 2 additional cases of invasive cancer on the placebo arm.
6 These had previously been categorized as non-invasive breast
7 cancer, and 1 on the tamoxifen arm as invasive cancer. This
8 was a woman who, after several reviews by NSABP, was
9 ultimately assigned to the category of cancer of unknown
10 primary and after our review we felt it was likely that she
11 had breast cancer.

12 We also reviewed the assessed tumor size based on
13 the original pathology reports. We disagreed with the
14 assessed tumor size for 3 cases on placebo and 1 on
15 tamoxifen. It resulted in minimal stage shifts for these
16 participants and, as I mentioned before, we may have found
17 an additional case that we are still discussing with NSABP.

18 Overall though, even with these shifts in cases,
19 it doesn't change the primary conclusion of the sponsor,
20 which is that tamoxifen did result in a significantly
21 decreased number of breast cancer cases on the tamoxifen arm
22 compared to placebo.

23 [Slide]

24 This shows you the tumor size and nodal status
25 distributions. You have already seen this so I don't want

1 to spend a lot of time on it except, again, to reiterate Dr.
2 Costantino's point which was that tamoxifen was most
3 effective in tumors that were less than 2 cm in size and in
4 cancers that were either node negative or had 1-3 positive
5 nodes.

6 [Slide]

7 This shows the stage groupings for all of the
8 cancers that were identified on study. As is consistent in
9 the general population, most of the women diagnosed with
10 breast cancer had node-negative disease. Some women had
11 node positive. There were 10 cases of inflammatory breast
12 cancer, and 2 women who had either probably or confirmed
13 metastatic disease at diagnosis but, again, you can see that
14 they were not significantly different between the treatment
15 arms.

16 [Slide]

17 Again, you have already seen this slide showing
18 that tamoxifen appears to have the greatest effect in
19 reducing the number of estrogen-receptor positive tumors.

20 [Slide]

21 So, overall we would conclude from our review that
22 there was a significant reduction in the number of breast
23 cancer cases with tamoxifen regardless of the subgroup. At
24 the beginning of this trial and throughout the conduct of
25 the study there had always been concern about use of

1 tamoxifen in younger women. We looked at them specifically.
2 We did not see an excess number of cases in young women, nor
3 did we see more aggressive appearance to the tumors in young
4 women.

5 We would say that at this point there is an
6 unknown effect in women of color, simply based on the small
7 number of participants in this trial; again, that it appears
8 to have an effect on ER-positive but not ER-negative breast
9 cancers; and we would like to point out that we did not see
10 an excess number of ER-negative cases.

11 [Slide]

12 As you have already heard, it is most effective
13 against cancers that were earlier in the course of their
14 development. You saw this information from NSABP, not in my
15 presentation, but we also independently calculated the time
16 to event. We did it by 6-month intervals, and there was a
17 reduction in the number of cases diagnosed in the first 6
18 months and then within every 6-month block afterwards,
19 including at the 60-month time point.

20 [Slide]

21 In terms of the non-invasive breast cancer
22 endpoint, NSABP reported 59 cases on placebo and 31 on
23 tamoxifen. When we reviewed the case report forms for these
24 participants, 28 of these non-invasive cancers were actually
25 diagnoses of LCIS, 21 on the placebo arm and 7 on tamoxifen.

1 An additional 2 cases consisted of atypical hyperplasia
2 without a component of invasive or non-invasive cancer, 1 on
3 each arm.

4 [Slide]

5 When we looked at the women who had been diagnosed
6 with LCIS during the course of the study, 12/28 women on
7 placebo and 6/7 on tamoxifen had a prior diagnosis of LCIS
8 as part of their eligibility criteria to enter the study.
9 The seventh participant on the tamoxifen arm had a diagnosis
10 of atypical lobular hyperplasia at entry. When she
11 subsequently had her biopsy and had those slides read in
12 conjunction with her prior biopsy it was felt that both
13 specimens met the criteria for LCIS.

14 [Slide]

15 We would disagree with the inclusion of LCIS as a
16 non-invasive breast cancer event for the following reasons:
17 LCIS is commonly considered to be a marker lesion rather
18 than a precursor. It has a high incidence of multifocality
19 and multicentricity, and sequential diagnoses of LCIS do not
20 change the level of risk that is conveyed by the first
21 diagnosis. There are a number of options for LCIS,
22 including now, we believe, tamoxifen on the basis of the
23 results of this study. Finally, our strongest reason is
24 that we would not use entry criteria as a subsequent
25 efficacy endpoint.

1 [Slide]

2 For those reasons, we would instead re-categorize
3 this grouping as DCIS alone. When we do that, there are 35
4 cases on placebo and 23 on tamoxifen. Remember that there
5 were 2 cases on placebo that we had reassigned into the
6 invasive category. Overall, this showed a 34 percent
7 reduction in risk. Calculation of a p value on this
8 difference was 0.12.

9 [Slide]

10 In terms of fractures, it was thought that
11 tamoxifen would prevent the incidence of fractures. In the
12 protocol the hip and Colles' fractures were the
13 prospectively designated sites.

14 The protocol discussed the inclusion of spine
15 fractures but excluded them because of the following
16 reasons: There is no agreed-upon definition of a vertebral
17 fracture. Many vertebral fractures are unknown to the
18 patient, and the methods for determining vertebral fractures
19 are costly and are not reproducible. We agree with the
20 protocol-defined reasons for excluding spine fractures and
21 we would not consider them to be a reproducible efficacy
22 endpoint.

23 [Slide]

24 We made this point before, that all fractures were
25 reported, not simply the first event, and we didn't have any

1 information on concomitant use of medications that would
2 affect osteoporosis risk, with the exception of calcium.

3 [Slide]

4 Overall, there does appear to be a reduction in
5 the number of hip fractures with tamoxifen. There were 20
6 on placebo and 9 on tamoxifen. Reductions were seen in
7 women under age 50 and over age 50, although we would point
8 out that there were very few fractures, 4 on placebo and
9 none on tamoxifen, that occurred in younger women.

10 The final FDA assessment of the Colles' fractures
11 is pending review. NSABP is currently reviewing the radial
12 fractures that occurred on study and is going to provide us
13 with the final list that we will review.

14 We would simply add this particular caveat, that
15 the fracture data in this study were derived from this sole
16 study as a secondary endpoint and that, while it is very
17 important to include this in the risk-benefit assessment of
18 using tamoxifen for prevention of breast cancer, we would
19 not consider this to be an independent indication for
20 tamoxifen therapy solely for osteoporosis prevention.

21 [Slide]

22 In terms of deaths on study, as you have already
23 heard, they were relatively well balanced between the arms.
24 We did not see any difference either in the number of breast
25 cancer related deaths on each arm. When we reviewed case

1 report forms, there was 1 case that had been coded as death
2 from non-malignant respiratory disease and we found that to
3 represent death from a pulmonary embolism in a tamoxifen
4 participant. NSABP agreed with that assessment and, in
5 fact, their database has already been updated to reflect
6 this finding.

7 [Slide]

8 Turning now to endometrial cancer, this table
9 summarizes the women who developed endometrial cancer. This
10 lists the number of cases by age at randomization. As you
11 have already heard, all of these cases except 1 represented
12 FIGO stage I disease. This slide breaks it by FIGO stage A,
13 B and C, as you can see. In the next slide I would like to
14 make two additional points about the last two rows on this
15 slide.

16 [Slide]

17 First, there were 6 women, 1 on placebo and 5 on
18 tamoxifen, who by case report form review had no signs or
19 symptoms that suggested that they had endometrial cancer at
20 the time of their diagnosis. Of these asymptomatic women,
21 4/6 were diagnosed during a routine endometrial sampling.
22 The sampling was performed on schedule and it turned out to
23 be positive for cancer. The other 2 women were found to
24 have complex atypical hyperplasia and at their institution
25 that was treated by hysterectomy. Then in the pathology

1 specimen of the uterus cancer was found incidentally.

2 In the second to last row of the previous table,
3 we would like to point out that 6 women on tamoxifen and 1
4 on placebo received postoperative irradiation in addition to
5 their surgical procedure for FIGO stage IB disease. I
6 mention this only because it does have some additional
7 implications for complications of therapy, both short term
8 and long term, and should be considered by women who are
9 thinking about using tamoxifen for prevention.

10 [Slide]

11 We have seen some information already suggesting
12 that women under the age of 50 had no excess risk of
13 endometrial cancer on tamoxifen compared to placebo. The
14 average annual hazard rate for these women was calculated at
15 1.10. That rate is somewhat higher than that reported by
16 SEER data. I think that you can make the argument that
17 women at risk for breast cancer also have risk factors that
18 make them at increased risk for endometrial cancer, and SEER
19 data may not be the best comparative rate. A better group,
20 we thought, might be the placebo group in B-14. For women
21 under age 50 that annual hazard rate was calculated to be
22 0.2, still lower than what was seen in this study.

23 [Slide]

24 But we also noted that if you changed the age
25 grouping you could affect the case distribution. Here is

1 endometrial cancer by age at randomization, as you have
2 already heard, reported from NSABP. If you changed this
3 category by 1 and instead of saying less than or equal to 49
4 you say less than or equal to 50, you do see a difference.
5 There is a slight excess number of cases on the tamoxifen
6 arm compared to placebo. If you look at how old the
7 participants were when they were diagnosed with the
8 endometrial cancer, you can see again that the numbers of
9 cases shift. There are a few extra on tamoxifen but
10 relatively few cases overall. If you try to get at the
11 actual biologic menopausal status at the time of the event,
12 which was derived from case report form review, the numbers
13 change again with a few extra cases on tamoxifen.

14 [Slide]

15 There are several ways that you can interpret this
16 data. One would be to say that there is no added risk from
17 tamoxifen with respect to endometrial cancer risk in young
18 women. I suppose you could also say that an increased risk
19 of tamoxifen was masked in this study by an unusually high
20 rate of endometrial cancer in the placebo group.

21 What we really think is the most logical
22 explanation is this third one, that overall there were
23 relatively few cases of endometrial cancer and that they
24 don't really permit a detailed subset analysis that would
25 give us a good idea of the relative risks in these groups.

1 [Slide]

2 In terms of ischemic heart disease, when the study
3 was started it was hoped that tamoxifen would reduce the
4 number of ischemic heart events but, as you have already
5 heard, there was overall no difference between the treatment
6 arms. This population was generally healthy. Many of the
7 women really had very few or insignificant risk factors for
8 cardiovascular disease.

9 In the protocol it was originally written that
10 approximately 10,000 postmenopausal women were required to
11 demonstrate a cardiovascular benefit and a little over 8000
12 were enrolled. We discussed this point specifically with
13 Dr. Costantino who pointed out that certainly you can't
14 completely exclude a benefit of tamoxifen. On the other
15 hand, this trial did not demonstrate any effect of
16 tamoxifen, and you might have leaned a little bit more on
17 this interpretation if you had seen even a trend towards an
18 improvement on tamoxifen. So, we would leave that where it
19 is. No benefit was seen and I think that is the only
20 conclusion that we can draw about that point.

21 [Slide]

22 In terms of stroke, again 1 event per participant
23 was counted and the worst event, TIA or stroke, was counted
24 in the database. On our case report form review though
25 there was 1 participant on the placebo arm and 2 on

1 tamoxifen who each had 2 separate stroke events.

2 Overall, there were 24 strokes reported on
3 placebo, 34 on tamoxifen, and relatively few of these women
4 were under age 50 at the time of the event. The majority
5 were postmenopausal. Three were fatal on placebo, 4 were
6 fatal on tamoxifen. We wondered whether the excess risk on
7 the tamoxifen arm could be related to the known increased
8 thrombogenic properties of the drug.

9 [Slide]

10 As you know, there were other thromboembolic
11 events noted in the course of the study. With deep vein
12 thrombosis there were 19 cases on placebo and 30 on
13 tamoxifen. One participant who was randomized to placebo
14 had a DVT while receiving open-label tamoxifen. She had
15 subsequently developed breast cancer and was being treated
16 with tamoxifen. She is appropriately listed on the placebo
17 arm in the intent-to-treat analysis but I would just point
18 out that her event occurred on the drug of interest.

19 [Slide]

20 The sponsor, again, presented data for the
21 diagnosis of deep vein thrombosis by age at randomization.
22 If, instead, you look at the deep vein thrombosis incidence
23 by the actual age of event, you can see that these risk
24 ratios are really approximately the same, about 1.5 or so
25 for the whole population or for either subset of women by

1 age.

2 [Slide]

3 Our conclusion looking at this is that the
4 relative risk for DVT is likely to be the same in younger
5 and older women, although the absolute number of events is
6 greater in older women.

7 [Slide]

8 One thing that I would like to point out, again
9 from our review of the case report forms, is that
10 unfortunately there were delays in the diagnosis of DVT of
11 up to 4 weeks. This was not due to any laxity in terms of
12 monitoring but many of the participants had these vents
13 between the scheduled visits. They then went to their local
14 provider or emergency room and did not always tell the
15 treating physician that they were part of the tamoxifen
16 study. So they were managed potentially more conservatively
17 than they might have been. I think this also has
18 implications for patient education if the drug is approved.

19 [Slide]

20 In terms of PE, as we have already mentioned,
21 there were originally 6 reported on placebo and 17 on
22 tamoxifen, 2 fatal. The third fatal case was added here for
23 a total of 18 and 3. If one looks at the time of occurrence
24 of the event, all except 1 which was on the placebo arm,
25 occurred in women who were over the age of 50.

1 [Slide]

2 I wanted to make some comments about
3 thromboembolic events as a whole. The reported numbers that
4 we have talked about don't count multiple events in the same
5 participant. On the placebo arm there were 4 women who had
6 2 or more deep vein thromboses, and there were 3 who
7 presented with simultaneous PE and DVT, not an unusual
8 occurrence in general medical practice. On the tamoxifen
9 arm 1 woman had a recurrent DVT, 3 women presented with
10 simultaneous deep vein thrombosis and pulmonary embolus, and
11 there was 1 woman who presented with a DVT and PE that was
12 separated by a 3-month period of adequate anticoagulation
13 for these events.

14 [Slide]

15 There were also complications of these events that
16 occurred. The ones that we saw in the case report forms
17 were all on the tamoxifen arm. Two women who had DVTs had
18 subsequent chronic venous insufficiency. One woman
19 developed a GI bleed in the course of her anticoagulation
20 for her event. She required 5 units of packed red cells and
21 fresh frozen plasma. So, it was a significant bleed. One
22 woman on tamoxifen presented with a very large PE that had
23 blocked the perfusion to her right lung. She was treated
24 with intra-arterial urokinase, was able to have blood flow
25 restored to her lung and was then placed on conventional

1 anticoagulation. She then developed a large retroperitoneal
2 bleed and required a filter placement for definitive
3 treatment.

4 [Slide]

5 There were also some other thrombotic events that
6 occurred. Two premenopausal healthy women randomized to
7 tamoxifen experienced retinal vein occlusions. One of these
8 occurred while the participant was on study drug and the
9 other occurred when she had discontinued study drug for more
10 than a year. One of these women had some permanent
11 impairment in her vision.

12 When we looked at the women overall with
13 thromboembolic events, smoking and obesity were contributing
14 factors but they didn't account for all of the risk. This
15 echoes one of the questions from the committee,
16 investigators in the past have tried to look at the
17 underlying etiology of the coagulation defect that is
18 associated with tamoxifen. Nothing definite has been found,
19 but it would be helpful, we think, to evaluate this again in
20 these participants. Certainly, if a subgroup of women could
21 be identified who are at risk that would significantly help
22 many women in their assessment of the risk-benefit ratio.

23 [Slide]

24 Our conclusions looking at thromboembolic events
25 would be that all women on tamoxifen, regardless of their

1 age, are at increased risk for thromboembolism. I think it
2 is important to point out to women that if they have one
3 event they are at risk for a second related event, and that
4 they may also be at risk for complications of therapy, and
5 that factors that may predispose to thromboembolic events
6 should be examined.

7 [Slide]

8 I don't want to repeat the information on
9 cataracts. You have already heard that presented by the
10 sponsor.

11 [Slide]

12 In terms of other ophthalmologic events on study,
13 I have already discussed the 2 retinal vein occlusions.
14 NSABP sent us their data on incidence of macular
15 degeneration, which was comparable between the 2 arms.
16 There was no other information that was systematically
17 collected on the effect of tamoxifen on other eye events.
18 Again, as came out during the question and answer period
19 earlier, the participants were not required to have regular
20 eye exams.

21 The decision for this was made on the basis of the
22 B-14 data which was derived from approximately 300 women
23 involved in B-14 who volunteered for this sub-study.
24 However, we would point out that overall the incidence of
25 these eye events is rare, and it is possible that B-14 has

1 not fully captured all of these eye events.

2 [Slide]

3 We have spent a lot of time talking about very
4 serious and potentially life-threatening effects of
5 tamoxifen. I think it is important to spend a few minutes
6 talking about the day-to-day adverse events such as hot
7 flashes and vaginal discharge. Overall, as predicted by the
8 known side effects of tamoxifen, women on tamoxifen had a
9 higher percentage of hot flashes and vaginal discharge, and
10 were also more likely to have level 3 or level 4 events. As
11 I said, these were the primary reasons that women stopped
12 therapy, and the most troublesome effects on a day-to-day
13 basis. So, I think it is worth looking at those numbers.

14 [Slide]

15 In terms of other events, there was no difference
16 in the incidence of vaginal bleeding or vaginal dryness
17 between the 2 arms, and overall in terms of the laboratory
18 abnormalities, relatively few grade 3 and 4 abnormalities
19 were seen and, as you can see here, there was really no
20 significant difference overall between the 2 arms. There
21 had been reports of tamoxifen's effect on lipid profiles.
22 Lipids were collected only at baseline, not throughout the
23 course of the study, so we can't comment on that.

24 [Slide]

25 Quality of life was measured during the study. A

1 medical outcome scale was used for general physical
2 functioning. There was a sexual activity item and a
3 depression scale.

4 I am going to talk more about the depression scale
5 in a minute so I would like to spend just a little time
6 describing what that was. This was a 20-item inventory of
7 statements, and participants were asked to rate the number
8 of occurrences that they had during the past week. These
9 were then translated into a numeric score and were
10 categorized in groups. A score of less than or equal to 15
11 was a normal score. This was collected at each separate
12 visit.

13 We did not ask NSABP to submit the primary data
14 for this. Instead, we asked them to submit their analyses,
15 and there was no difference at all between the 2 treatment
16 arms for any of these 3 categories. The curves were
17 virtually superimposable. This was reviewed by Tony
18 Kontsoukos, our statistician, and he could not find any
19 problem with the analyses as presented.

20 [Slide]

21 We did review the depression data in more detail
22 though because of past reports that tamoxifen might be
23 associated with an effect on depression. In addition to the
24 depression scores that I have already discussed, depression
25 was also assessed with a neuro-mood common toxicity criteria

1 reporting. When the participants came, in addition to the
2 20-item list that was translated into the depression score,
3 they also filled out some additional paperwork that
4 reflected their mood. This data was also forwarded to the
5 NSABP. The study coordinators were able to translate this
6 into a CTC grade as non, mild, moderate, severe, suicidal or
7 death.

8 What we did, we looked at the depression scores
9 and then we looked at the participant assessment of mood by
10 the CTC grades, and then we also looked at events that we
11 derived from our case report form review.

12 [Slide]

13 So, in this very informal analysis based simply on
14 selected cases that we had, this is what we found. Out of
15 the 69 women who were reported as having severe depression
16 and, again, equally distributed between the 2 arms, 18 of
17 those had normal depression scores. There were 46 women who
18 were listed as being suicidal on study, again comparable,
19 and 4 of them had normal scores. There were 3 women who did
20 commit suicide on study, 1 on placebo and 2 on tamoxifen.
21 Two of them had grade 4 scores but 1 had a grade 1 score.

22 [Slide]

23 As I said, from this very informal analysis we
24 would just like to make the following points, and some of
25 them are obvious: The scores are likely to be accurate only

1 if they can be obtained at the time of maximum distress.
2 With women being followed every 6 months, it is likely that
3 you are going to miss that time point and then get reporting
4 of events in the past.

5 The other thing that we noticed is that when we
6 looked at the case report forms, women reported the
7 prescription of antidepressant medications at the time that
8 they had scores of grade 0-2. There are two possible ways
9 to interpret this. One is that our usual system of
10 reporting a grade 3-4 event may underestimate a clinically
11 important change in mood that warrants the prescription of
12 these medications, or that the use of these medications
13 confounds the scoring system, that these women start
14 antidepressant therapy, feel much better and when they come
15 in their scores look fine.

16 So, overall we would say that while P-1 doesn't
17 show any effect of tamoxifen on depression, we would simply
18 point out that perhaps this was not the best way to capture
19 this information, and that it may still be unknown.

20 [Slide]

21 In the course of the review also 2 European
22 studies that were negative trials for tamoxifen for
23 prevention of breast cancer were reported. We would like to
24 comment briefly on these. We did not have primary data for
25 review. This is based on our reading of The Lancet

1 analyses.

2 The Italian breast cancer prevention study really
3 did not enter women who were at high risk for breast cancer.
4 It was, instead, designed to exclude women who were at high
5 risk for toxicity from tamoxifen. So, they were eligible
6 only if they had a hysterectomy, and there was another long
7 list of exclusion criteria, and they made no effort to
8 actually enrich for women who might be at increased risk for
9 breast cancer itself. There was a high drop-out rate in the
10 trial that was not considered in the sample size
11 calculation, as it was in the NSABP study, and, in fact,
12 their monitoring committee closed the study early because of
13 this reason. Overall, the authors reported that there was a
14 low statistical power to detect any difference between the
15 arms.

16 [Slide]

17 The other trial was the Royal Marsden study. As
18 you have already heard, Dr. Powles is here with us today.
19 He will actually come to the podium after I have finished to
20 make a few comments, and will be available for questions
21 from the committee about his study.

22 Based on my review of the published article, and
23 not on his data -- he is the only one with the data, I would
24 make these points. The Royal Marsden study was designed
25 initially to enter a high risk population of women. It was

1 hoped that it would be selected out to include women who
2 were members of a hereditary breast and ovarian cancer
3 family. However, from my reading, I would think that the
4 assumption of the baseline for breast cancer risk in this
5 trial was inaccurate.

6 There has been a lot of data published recently
7 from a number of risk assessment centers looking at the
8 incidence of mutations in women based on their family
9 history. These reviews have suggested that if you use only
10 a breast cancer family history that you are likely to see
11 mutation in only about 20 percent of those patients. You
12 can increase the likelihood of seeing a mutation if you also
13 include family history of ovarian cancer, or if you extent
14 out your family history and, instead of looking simply at
15 first degree relatives as was done in the Royal Marsden
16 study, you take an extended family history. So, overall, I
17 think although it was intended to enroll women at higher
18 risk, those women were actually at lower risk than
19 anticipated.

20 The rate of non-compliance was not considered in
21 the sample size calculations. I think these are the primary
22 reasons for the negative outcome. These are additional
23 possibilities -- younger women are likely to have slightly
24 higher rate of ER-negative cancers compared to older women,
25 and we have already seen that tamoxifen is not effective, we

1 don't think, against ER-negative cancer prevention. Hormone
2 replacement therapy was permitted in the study and about 41
3 percent of the women in the trial used hormone therapy at
4 some point in the study and that may be a confounding
5 factor. Again, Dr. Powles' input on these issues would be
6 appreciated.

7 [Slide]

8 So what can we say overall about the risks and
9 benefits of tamoxifen based on the P-1 study? Well, I
10 believe that from this trial it has been clearly
11 demonstrated in the subgroups, with the follow-up we have,
12 that there were fewer cases of invasive breast cancer with
13 tamoxifen. It reduced the number of cases of ductal
14 carcinoma in situ, not significantly but there were
15 certainly fewer cases. Again, it may prevent hip and
16 Colles' fractures.

17 [Slide]

18 We think that there was an unknown effect, based
19 on these trial results, in women of color because of the few
20 number of women entered. There was no information here
21 about women with BRCA 1 and 2 mutations. As you have heard,
22 the NSABP was planning to assay the serum samples and to
23 look for mutations, and those data are going to be awaited
24 with interest by everyone, by the medical community and FDA.

25 I have included women with known DCIS in this

1 column. Again, there are early reports that show a benefit
2 with tamoxifen in women with DCIS but, as Dr. Wolmark said,
3 that is pending final review of the NSABP studies that were
4 specifically designed to address this question.

5 We think simply based on the follow-up data
6 available here that we probably still have an unknown effect
7 of tamoxifen on depression, and a non-cataract ophthalmic
8 toxicity.

9 [Slide]

10 There was no effect that was observed on ischemic
11 heart disease, on death from all causes. We also agree that
12 there was no difference in the incidence of other cancers.
13 Again, from our analysis we did not see that LCIS was
14 prevented.

15 [Slide]

16 Finally, I think we have spent a lot of time
17 talking about the risks. Tamoxifen increased the risk of
18 endometrial cancer, of DVT and PE, stroke, cataract
19 formation and the need for cataract surgery, and hot flashes
20 and vaginal discharge.

21 [Slide]

22 It is important that we all keep in mind what the
23 limitations of tamoxifen are. It does not eliminate breast
24 cancer risk, as we have talked about previously. It also
25 does not guarantee that if a breast cancer occurs it will be

1 diagnosed at an early stage. It does not affect ER negative
2 or larger tumors.

3 [Slide]

4 There are additional complications to tamoxifen
5 therapy, other than the listed risks. Again, remember that
6 some of these early stage endometrial cancers required
7 irradiation therapy in addition to surgery, with potential
8 additional complications; that thromboembolic disease may
9 involve the brain, lung, leg and eye. It can increase the
10 risk of a second event, and there may be complications from
11 treating these events.

12 [Slide]

13 We think that all of these findings have labeling
14 implications, and we have asked some of these in specific
15 questions to the committee. Women on tamoxifen, regardless
16 of age, should have regular breast exams, mammograms and
17 gynecologic evaluations. We would agree that endometrial
18 sampling detected endometrial cancer rarely. There is a
19 specific question to the committee on this point. We also
20 believe that women taking tamoxifen should seek prompt
21 medical attention for any signs or symptoms of cancers or
22 thromboembolic events.

23 There is a question to the committee about whether
24 women on tamoxifen should undergo yearly eye exams based on
25 the cataract data and some of the other issues that we

1 discussed.

2 Most importantly, we believe that women who choose
3 to take tamoxifen should inform all care providers, no
4 matter what they are being evaluated for, that they take the
5 drug. We would also say that women with a history of DVT,
6 PE or coagulopathy should not take tamoxifen. This was an
7 exclusion criterion for the P-1 study. And, labeling should
8 provide information about individual risks and benefits.
9 One point that also may seem obvious but I think is worth
10 mentioning is that a premenopausal woman who starts therapy
11 may become postmenopausal in the course of her treatment,
12 and that may result in a change in her risk-benefit
13 assessment and that should be kept in mind.

14 [Slide]

15 How do we put this in perspective with the
16 negative European trials? Although the European trials
17 reported negative results, we believe that there were design
18 differences that resulted in lower risk populations being
19 entered into those studies. Overall, the size, the
20 statistical power and the internal consistency of P-1, we
21 think, make its results robust and believable, and we also
22 believe that the results are consistent with all of the
23 other published reports of the ability of tamoxifen in the
24 realm of prevention, most notably preventing contralateral
25 breast cancer. So, we would feel that the weight of the

1 evidence favors what was observed in P-1.

2 [Slide]

3 In conclusion, tamoxifen for breast cancer was
4 effective in reducing the incidence. The reduction in
5 breast cancer incidence for most women appear to outweigh
6 the incidence of serious adverse events but, as we have
7 already discussed, it is extremely important to have an
8 individual risk-benefit assessment and that, hopefully, that
9 can be conveyed in labeling as well as in additional
10 educational tools to allow women to make a truly informed
11 decision about whether to use this drug if it is approved.

12 Thank you. What I would like to do now is to
13 introduce Dr. Powles who has a few comments, and then both
14 of us will be available for questions.

15 **Comments on the Royal Marsden Study**

16 DR. POWLES: I am grateful -- at least I am not
17 sure I am grateful to have the opportunity to discuss
18 briefly the conflict in the results that occurred between
19 our own program and the NSABP program.

20 I have to say right from the word go that I
21 thought 12 years ago or 13 years ago when we started the
22 tamoxifen prevention that if I was going to be here, I would
23 be here presenting the results of a positive trial on
24 tamoxifen and I am as surprised as anybody that we actually
25 got a negative result at this stage.

sgg

1 Secondly, I would like to make quite clear that I
2 am absolutely sure that the effect of tamoxifen on the early
3 incidence of breast cancer in healthy women which has been
4 shown by the NSABP is real. The problem is what is the
5 conflict between the two results.

6 Susan has mentioned the various problems that may
7 arise. The first is that there is clearly a difference in
8 the study population. Our women are younger. Our women are
9 more likely to have a stronger family history. They are
10 more likely to be premenopausal. And, what we have done, in
11 spite of what Susan said, is a complete pedigree analysis of
12 all of the women in our program, all 2500 women, in order to
13 evaluate not just the incidence of primary breast cancer but
14 the age at onset of the primary breast cancer, which is
15 important, second degree relatives with breast cancer, and
16 the presence of bilateral breast cancer and the presence of
17 other cancers.

18 So, we have been able to estimate using pedigree
19 analysis, and using the Klaus model the likelihood of high
20 risk genes within our own program. Our estimate at this
21 time is that about 36 percent of the women in the Marsden
22 program are likely to have inherited a high risk breast
23 cancer predisposing gene. What is more important is that 60
24 percent of the women who developed breast cancer at this
25 time are likely to have inherited a high risk breast cancer

1 predisposing gene. This is going to be substantially more
2 than the percentages in the NSABP program, as best as we can
3 estimate it from the figures of the data that we already
4 have.

5 So, I think a major difference between our program
6 and the NSABP program relates to the study population, and I
7 think it could well relate to the likelihood of inheriting a
8 high risk gene.

9 The second point that Susan mentioned was the
10 question of compliance. In fact, our compliance has been
11 higher than we estimated when we originally did our power
12 calculations. The estimate for 5 years was going to be for
13 70 percent and, in fact, looking at the time of use of
14 tamoxifen within our program, at 3 years we got an 83
15 percent compliance for tamoxifen and at 5 years we got a 79
16 percent. I think this is about as high as you are likely to
17 get, and this represents a substantial intervention of
18 tamoxifen in half of 2500 healthy women, 1250 women, and, to
19 my mind, that amount of exposure to tamoxifen with what is
20 going to be a 50 percent effect -- we would anticipate on
21 that compliance that we would be able to see that effect.

22 The third point that was raised was about the use
23 of hormone replacement therapy. We allowed the use of
24 hormone replacement therapy within our own program because
25 we felt that denying the use of hormone replacement therapy,

1 as you can see from the NSABP results where women would be
2 withdrawn from the program if they took hormone replacement
3 therapy, in itself would produce a bias if it was not
4 matched. And, 41 percent of our women at some stage have
5 taken hormone replacement therapy. But we are now 12 years
6 into the program, and if we actually look at the amount of
7 hormone replacement therapy that was used in conjunction
8 with tamoxifen or placebo, there was only a 12.6 percent
9 concomitant medication. So, it is really a very small part
10 of the program itself.

11 The second thing is that we have been unable to
12 identify any interaction between the use of hormone
13 replacement therapy and tamoxifen on bone, on clotting
14 factors and on cholesterol, and we have published this, and
15 it, therefore, seems unlikely that this would occur in the
16 effect on tumor cells.

17 The third thing is that we can see no difference
18 in the incidence of breast cancer for women on or off
19 hormone replacement therapy who have ever had it or not had
20 it. In fact, if anything, there is a marginal effect for
21 women who have had tamoxifen versus those who have not. So,
22 it is unlikely that we would have confounded our trial in
23 order to make it negative.

24 Furthermore, the Italian trial has shown the only
25 effect that they could see was the use of tamoxifen in women

1 on hormone replacement therapy. So, I think it is very
2 unlikely that hormone replacement therapy could have
3 confounded our trial in a way that would have made the trial
4 negative.

5 The fourth point is whether the trial ever had the
6 power to be able to show this effect. We clearly are a
7 small trial, although we are talking about 2500 healthy
8 women from a single center, which must be one of the biggest
9 single center trials that has ever been mounted on anything.
10 We did do the power calculations in 1993 when we realized
11 that the national program would not be able to start because
12 it had not been approved by the Medical Research Council in
13 England. We did the power calculations then to estimate the
14 numbers of women we would need, the compliance that we would
15 expect on an intent-to-treat basis that would give us a 90
16 percent power to detect a 50 percent reduction.

17 In fact, those power calculations were exactly
18 right for the placebo. We were within 1 cancer for the
19 incidence of breast cancer, and it was exactly on point at
20 the beginning of 1998 when we did our estimates. There is
21 only about a 10 percent chance that this trial is negative
22 for statistical reasons. In fact, what is more impressive
23 is the fact that we can't see any difference at all in the
24 incidence of breast cancer for tamoxifen or placebo. There
25 is not even a trend there.

1 With the Italian trial, that is also completely
2 negative for a different population of women, and the
3 chances of both trials being negative is less than 2
4 percent. I think probably the reason we are seeing these
5 differences -- they can account for various things that may
6 do this, but one of the main factors in my opinion probably
7 is the fact that we are looking at different populations of
8 women.

9 As far as the power calculations go, the problem
10 that we have is the problem of multiple outcomes, and this
11 is shown with the power problem in relation to the NSABP
12 trial. In spite of what was said earlier, the primary
13 objective of the NSABP trial in the original protocol was to
14 test tamoxifen's effectiveness in preventing the occurrence
15 of breast cancer and reducing mortality in breast cancer.
16 So, it was originally designed and the power calculations
17 were done for reduction and mortality. It also wanted to
18 look at heart mortality and bone fractures, and benefit-risk
19 ratio as secondary aims.

20 The trial was stopped early when there were 239
21 breast cancers. At that time there were only 9 breast
22 cancer deaths and 10 heart deaths. So, there was never any
23 hope that we were going to be able to look at one of the
24 main features of the primary aim, which was mortality from
25 breast cancer, because the trial was so powered to look at

1 incidence of breast cancer that it wasn't going to allow the
2 secondary outcomes to be seen.

3 I am obviously concerned that if everything we do
4 in the future is going to be based on early incidence data,
5 will it actually tell us much more than that about the
6 prevention of breast cancer and, in particular, what the
7 clinical benefits may be? I am concerned because when we
8 look at these results at the present time there are only 69
9 breast cancers at 3.5 years median follow-up, and these are
10 mostly estrogen-receptor positive cancers, less than 2 cm
11 and no nodes or 1-3 nodes. We would anticipate that there
12 would be a cure rate for these 69 cancers of about 80-90
13 percent. This is particularly so because they are likely to
14 be tamoxifen sensitive because they have been prevented from
15 occurrence by tamoxifen. To achieve this reduction in 69
16 cancers, 6600 healthy women have received tamoxifen for an
17 average of 4 years, and that, even with compliance, is about
18 20,000 years of tamoxifen or 300 years for each what I would
19 call good cancer that has been delayed or prevented.

20 The question that we must ask in any prevention
21 trial is would it have been easier to have treated the 69
22 cancers versus the 6600 healthy women because prevention
23 trials are a completely different dimension than treatment
24 trials. We are talking about treating huge numbers of
25 healthy women in order to prevent small numbers of cancers.

1 That is the issue that I am obviously concerned about. I am
2 not sure that at this stage we know enough to be able to say
3 that prevention in many is better than treatment in a few.

4 In Europe, the Clinical Trials Committee is not
5 satisfied that "prevention" has been proven to be
6 beneficial, clinically beneficial. We would wish to
7 continue our trials to evaluate not just the incidence but
8 all potential long-term benefits and risks that we can, the
9 most important of which is obviously mortality from breast
10 cancer. Furthermore, because of the concerns we have about
11 subgroups and high risk groups, the high risk genes and low
12 risk genes categories, we would like to try to identify the
13 subgroups of those who may or may not gain a benefit,
14 especially the high risk young women who are likely to pay
15 the long-term consequences if there are any problems with
16 tamoxifen.

17 Approval of tamoxifen for prevention at this time
18 implies that we know its use in healthy women is a clinical
19 benefit and we know who gains that benefit. And, I don't
20 think we are there yet. In spite of this early incidence
21 data which I think is very encouraging, I am not satisfied
22 that we have proven at this time that long-term use of
23 tamoxifen in healthy women is likely to be beneficial over
24 the risks. We are talking about large numbers of healthy
25 women and there are risks.

1 Thank you.

2 **Questions from the Committee**

3 DR. DUTCHER: Questions for the FDA and Dr.
4 Powles? Dr. Albain?

5 DR. ALBAIN: Yes, Dr. Powles, could you comment on
6 your choice of duration of treatment in your trial of 8
7 years of tamoxifen, in particular given the concern that
8 durations beyond 5 years may, in fact, be adverse in terms
9 of tamoxifen-stimulated growth? How many women actually got
10 8 years of tamoxifen?

11 DR. POWLES: I think we must distinguish between
12 the treatment trials and the prevention trials. When we are
13 doing treatment trials we are looking at cancer that is
14 there that may go away and may come back. With prevention
15 we are looking at anti-promotion of estrogen by using an
16 anti-estrogen, and the events of the initiation of the tumor
17 can occur at any time.

18 We had to make a decision in 1991, because we
19 started our trial in 1996, when we reached 5 years about
20 whether we gave more than 5 years or not. At that time it
21 was agreed that we would continue to 8 years. This was
22 discussed with various bodies in the United Kingdom, and
23 that was based on the fact that we were looking for anti-
24 promotion of early cancers and not treatment.

25 I don't think the results of the adjuvant trials

1 bear any relationship to anti-promotion. They obviously do
2 if you are only treating occult cancers.

3 DR. ALBAIN: What percentage of your population
4 took the full 8 years?

5 DR. ASHLEY: I am Sue Ashley. I am a statistician
6 at the Royal Marsden. Sorry, I don't have the exact figures
7 but I think it was around 160 on both tamoxifen and placebo
8 who have completed 8 years of treatment at the moment.

9 DR. ALBAIN: And these are women on active
10 treatment? In other words, they hadn't dropped out before
11 that 8-year point. Is there a percent that have already
12 dropped out?

13 DR. ASHLEY: There is a percent who have already
14 dropped out. These are people who have continued for 8
15 years.

16 DR. ALBAIN: What percentage have dropped out, and
17 at what time points of treatment, do you know?

18 DR. ASHLEY: There was about a 17 percent drop-out
19 in the first year of treatment. After that there was very
20 little drop-out on the tamoxifen arm.

21 DR. ALBAIN: Thank you. I also have a question
22 for Dr. Honig. Could you just clarify from your review of
23 the data patient events, breast cancer events -- my
24 understanding is they were reported as an event whether they
25 were on study drug or off study.

1 DR. HONIG: That is correct.

2 DR. ALBAIN: Okay.

3 DR. HONIG: That was a mis-communication in my
4 draft, the draft that went to ODAC while we were still
5 communicating with the sponsor. So, that was an error and,
6 hopefully, was corrected in my slides.

7 DR. ALBAIN: Okay. Then, the patients who had the
8 DCIS event, were those patients continued on tamoxifen?

9 DR. HONIG: That also was clarified with NSABP.
10 By protocol, they were supposed to continue on blinded
11 therapy and that did not always occur. I am trying to
12 remember offhand the number of women who were unblinded.
13 Jo, you may be able to help me out. I think it was maybe 10
14 or 12 per arm, a relatively small number compared to the
15 total number of DSCIS events.

16 DR. ALBAIN: So maybe I should ask the NSABP.
17 Were patients with DCIS, for the most part, continued on
18 their study drug?

19 DR. HONIG: Please clarify this, Jo, but it seemed
20 like there was a group who stopped drug altogether and then
21 there were some who were unblinded and who may have
22 continued open-label?

23 DR. COSTANTINO: As you indicated, there were many
24 instances where, regardless of what the protocol stated, the
25 physicians felt they needed to know this information, and if

1 they insisted upon being unblinded, they were. The exact
2 proportion of women who actually stayed on therapy of the
3 DCIS cases -- to be honest with you, I don't know the exact
4 number but there were women who did and there were women who
5 were unblinded and did not. So.

6 DR. ALBAIN: Would you comment on the rationale of
7 continuing the drug in the face of the event?

8 DR. WICKERHAM: I can comment on why the desire
9 was to continue the blinded drug per protocol. At the time
10 the trial began, tamoxifen was not of known benefit for the
11 treatment of DCIS. We thought it appropriate to capture the
12 event to try to maintain the therapy per protocol. As Dr.
13 Costantino said, the majority appeared to do that but there
14 were cases where either the patient or the physician
15 demanded that the patient be unblinded.

16 DR. DUTCHER: Dr. Sledge?

17 DR. SLEDGE: Dr. Powles, you are basically telling
18 us that it is too early to know. Could you give us your
19 wisdom in terms of, first, how long you think this trial
20 does need to be followed before we have data that would
21 convince you and, secondly, what specific endpoints would
22 convince you?

23 DR. POWLES: Yes, I found a reference to a paper
24 that I wrote in 1988, I think it was, where we were looking
25 at how long it took for breast cancers to develop. We know,

1 for example, from the nuclear explosions in Japan that the
2 increased incidence of breast cancer doesn't start until 14
3 years after irradiation and it needs endocrine promotion
4 with estrogen.

5 So, in terms of interfering with estrogen
6 promotion, we made estimations then, which we published,
7 that we felt that it would take 10-15 years to really know
8 what you were doing in terms of preventing breast cancer by
9 using tamoxifen.

10 Now, the thing that was encouraging is that we
11 were obviously going to have a positive effect on the early
12 incidence of breast cancer by treating subclinical disease,
13 and because that went the right way we estimated that what
14 would happen is that you would have 2 curves that started to
15 go apart and they would continue to go apart, to begin with
16 because you are treating breast cancers, some of which may
17 or may not come back, and later on because you are
18 preventing breast cancers.

19 What is more important is that most breast cancers
20 are likely to be estrogen-receptor positive very early on in
21 their process. They are arising from a breast cell that is
22 endocrine dependent, and they will use their receptor
23 positivity with time. So, by the time they present
24 clinically only 18 percent of them are likely to be
25 estrogen-receptor positive and only 50 percent of them have

1 a functional estrogen receptor.

2 What we don't know is when we are giving tamoxifen
3 early on in the natural history of breast cancer we could
4 actually be preventing a 100 percent of the breast cancers.
5 This is why long-term incidence data and long-term mortality
6 data was going to be very important in terms of telling us
7 what was going to be happening in the prevention scenario.

8 I can't emphasize too strongly that we are
9 encouraged by this reduction in early incidence, but I still
10 don't think it is telling us very much about the long-term
11 prevention of breast cancer, which is what we need to know
12 before we start getting on to the next agents, the tamoxifen
13 look-alikes.

14 DR. SLEDGE: So, again, I am sorry --

15 DR. POWLES: Ten to 15 years of follow-up is what
16 I think you need before you really know what is happening.

17 DR. SLEDGE: Thank you.

18 DR. DUTCHER: Dr. Margolin?

19 DR. MARGOLIN: I have a question about the data
20 from what you call women of color. First of all, is that
21 African-American only or does that include Latinos,
22 Filipinos?

23 DR. HONIG: A subset were African-American and the
24 others were simply listed as "other" with no racial
25 breakdown.

1 DR. MARGOLIN: If you look at the chart we got
2 this morning about the effect of tamoxifen in ER-positive
3 tumors, it is about a 67 percent reduction, with the others
4 being equivalent. Just arithmetically, there is about a 2-
5 fold increase, 6 versus 3, the wrong way in women of color.
6 But you didn't tell us whether those patients developed ER-
7 negative tumors, in which case the data are just a wash.

8 DR. HONIG: No, they were all over the map. We
9 specifically looked at ER status, and I don't have those
10 numbers with me although I think they are in my review, and
11 they were not all ER negative and they were sort of a
12 mixture. I think of 3 cases 1 was ER positive, 1 was I
13 think a mix. Jo, do you have that data?

14 DR. COSTANTINO: Yes, of the 9 cases which you
15 were referring to, actually 7 were in Afro-Americans. Among
16 those 7, there were 3 ER-positive cases, 2 in the placebo
17 arm and 1 in the tamoxifen arm. So, if you limit yourself
18 to the ER, it went the right way but the numbers are very
19 small.

20 DR. DUTCHER: Dr. Schilsky?

21 DR. SCHILSKY: I have a question for Dr. Powles in
22 follow-up to Dr. Sledge's question. I take your comments
23 very seriously although they are reminiscent of comments
24 that were made, I guess, in the 1970s with the introduction
25 of adjuvant chemotherapy when lots of questions were raised

1 about how many women should get adjuvant chemotherapy to
2 prevent the recurrence of breast cancer in just a few, and
3 it is a similar type of argument that you have made now. I
4 am concerned, I suppose, about the need to wait 10-15 more
5 years to have definitive information, and whether or not
6 such information could be available from the NSABP trial in
7 view of the fact that so many women on the placebo arm are
8 now likely to cross over to receive tamoxifen.

9 So, I suppose my question is do you feel that the
10 NSABP, as it continues to mature, will be able to provide
11 the definitive information that you are looking for, or are
12 there other trials that are ongoing that will be able to
13 provide that information relatively soon?

14 DR. POWLES: Yes, I think I need to make it clear
15 that there are two different levels of the question. One is
16 if you really want to know what is happening in prevention
17 you would have to wait 15 years, say, for incidence data.
18 We know how useful, for example, the adjuvant data is, not
19 just the 2- or 3-year data but the 5-year, the 10-year data.
20 I mean, think what a disaster it would have been if we had
21 given tamoxifen to everybody 3 years into the adjuvant
22 trials and we didn't really know what was happening. This
23 is the same sort of situation here.

24 What I think the caveat is, as far as it goes with
25 the prevention programs, we won't have to wait 15 years,

1 although one would like to, because you are going to be
2 looking at other endpoints and other outcomes, and the like.
3 I would guess that in order to get sufficient information,
4 in my opinion, if the NSABP hadn't been unblinded we would
5 probably have been in a position with the European trials
6 and with the NSABP to be getting meaningful answers on
7 clinical benefits in the prevention scenario by the year
8 2000, 2005. That would have been something that we would
9 have then built on for the next generation of anti-
10 estrogens.

11 As far as the trial goes now, I don't know how
12 much information you can get, now that it has been unblinded
13 and now that tamoxifen and raloxifene will be offered in the
14 control arm. I don't know how much information we will get.
15 I suspect not very much.

16 DR. DUTCHER: Dr. Honig, you made a fairly strong
17 series of statements about the thromboembolic events. How
18 many of those people actually had or soon after had
19 developed cancer?

20 DR. HONIG: You mean the thromboembolic events in
21 cancer patients in particular? That is a good point and,
22 without looking at my notes, relatively fewer than developed
23 cancer. Is that correct from your recollection, Jo? The
24 patients with DVT and PE? I should say specifically breast
25 cancer. We looked for any cancer as a contributing factor

1 to underlying clotting and there were certainly people who
2 had procedures with general anesthesia; there were people
3 who had long trips; there were people who were diagnosed
4 with cancer. But all of that did not account for all of the
5 clotting events.

6 DR. DUTCHER: Dr. Simon?

7 DR. SIMON: I have a couple of questions for Dr.
8 Honig. You said you looked at a variety of subsets for
9 examining the relative effect of tamoxifen on lowering the
10 risk of invasive breast cancer. Did you look at women over
11 60 who had a relative risk less than 2, or women over 60 who
12 had a relative risk of 2-5?

13 DR. HONIG: We didn't have the information
14 categorized by relative risk, and we didn't have the Gail
15 model until I think the first or second week in August and
16 we really haven't run any of those calculations. But what
17 we did, we looked at the individual risk factors which,
18 granted, is not the same as the Gail model but, anyway, we
19 looked at the various groupings, say, of age at menarche,
20 first live birth, family history -- those sorts of things,
21 and ran a series of queries --

22 DR. SIMON: That is a problematic way of doing it.
23 In other words, if you need risk factors to get on study,
24 then to look at those univariately is all confounded because
25 those who don't have this one have something else.

1 DR. HONIG: Right.

2 DR. SIMON: So, you tend not to see anything.

3 DR. HONIG: Exactly. I mean, we didn't have the
4 disc so we were looking to see if, by eyeball, we could see
5 if there were one factor that was driving everything. In
6 fact, there wasn't. I think, as you say, it is the
7 combination of risks, and there are many, many combinations.

8 DR. SIMON: I have a couple of other questions.
9 You showed some data categorizing endometrial cancer cases
10 based on woman's age, and you defined the age in a couple of
11 different ways. It looked, actually, fairly striking to me
12 that the increased risk of endometrial cancer was greatest
13 for women over 50. You wound up concluding that you didn't
14 have enough data to make any conclusion. Did you do a
15 statistical analysis on that?

16 DR. HONIG: We would say that, you know, you can
17 frame shift everything depending on how you assign the
18 cases, and that you get slightly different outcomes and
19 that, yes, it is true most of the cases were in
20 postmenopausal women but --

21 DR. SIMON: I mean, you got different numbers and
22 the numbers change but in all of the ways you presented it,
23 it looked like there were many more cases of endometrial
24 cancer in the tamoxifen group. The excess cases of
25 endometrial cancer in the tamoxifen group relative to the

1 placebo group always seemed to be concentrated in the older
2 age group.

3 DR. HONIG: Yes. I mean, that is true. We think
4 that most of them were in excess in the older group but to
5 say that younger women are completely immune and that they
6 have no risk other than the general population, we don't
7 feel confident saying.

8 DR. SIMON: The other thing that I guess I was
9 surprised about is you showed data on number of events of
10 invasive breast cancer after stopping the study drug, either
11 tamoxifen or placebo, and I don't remember the exact numbers
12 but I think it was something like 34 --

13 DR. HONIG: I think 38 and 32.

14 DR. SIMON: Something like that, 38 and 32. But
15 that was fairly striking to me because there were only 85
16 cases of invasive breast cancer in the tamoxifen group. So,
17 38/85 versus 32/154 for placebo, that suggests to me that it
18 is pretty striking, that the tamoxifen cases are tending to
19 occur after discontinuation of the drug and the placebo
20 cases are not.

21 DR. HONIG: I think what we found is even if you
22 took those cases out, the number diagnosed on study drug was
23 still less for tamoxifen compared to the number on placebo,
24 and if you stopped study drug you then continued to find
25 breast cancers but at approximately the same rate in either

1 arm. We were not trying to make too fine a point, except it
2 certainly would have been of interest if you had stopped
3 tamoxifen and then suddenly saw a rebound number of cases
4 that brought you right back up to baseline.

5 We didn't see that. What we saw is that you get
6 some reduction while you are on tamoxifen and then you
7 continue on at the same rates. I guess the question again
8 is, you know, at follow-up would you still in 10 years see
9 that same difference? How long does the effect of tamoxifen
10 last, and which of the cancers have you interfered with?
11 You know, have you treated some early stage 1, etc? I think
12 those are all valid and open questions at this point with
13 the follow-up that we have.

14 DR. DUTCHER: Dr. Schilsky, I cut you off. I am
15 sorry.

16 DR. SCHILSKY: No, that is okay. I really just
17 want to make a comment with respect to the risk for
18 thromboembolic disease. There is a substantial body of
19 literature to suggest that women who clot while receiving
20 hormone therapy or during pregnancy may be carriers of a
21 mutation in the Factor V gene, called Factor V Leiden. I
22 think it would be important to look at whether women who
23 clot on tamoxifen might also be carriers of Factor V Leiden
24 because that would provide a relatively simple screening
25 test to sort out those women who might be at greatest risk

1 of developing thromboembolic complications while on
2 tamoxifen.

3 DR. HONIG: Thank you. I think that is one of our
4 questions to the committee.

5 DR. DUTCHER: Miss Beaman?

6 MS. BEAMAN: Yes, the doctor did say a moment ago
7 that these are healthy women and they are now possibly
8 tamoxifen sensitive. What exactly did you mean by that, and
9 how might that affect future treatment for those women in
10 particular?

11 DR. POWLES: That is an interesting question.
12 Yes, I wasn't meaning that. I meant that those who get the
13 cancers are likely to be tamoxifen resistant if they have
14 been on tamoxifen when they get their cancers. So, they
15 will lose the benefit that they would have from tamoxifen.
16 I don't think I understood -- as far as the rest of the
17 population, for women who don't get breast cancer, I think
18 the issue there is what are the long-term effects of
19 tamoxifen, particularly in young, healthy women going out to
20 20, 25, and 30 years? That is an issue that we can't fully
21 address from the adjuvant trials at the moment.

22 DR. DUTCHER: I think what he said was that if
23 they were not treated and they got cancer, it would be more
24 likely to be a tamoxifen-sensitive cancer.

25 DR. POWLES: Yes.

1 DR. DUTCHER: Dr. Albain?

2 DR. ALBAIN: For Dr. Powles again. Trevor, I have
3 to come back to your 10-15 years follow-up and that we can't
4 extrapolate from treatment trials. Don't you think that we
5 could perhaps still extrapolate from the overview data in B-
6 14 that does have that 10-15-year follow-up on a solid
7 persistence of the reduction in risk of contralateral breast
8 cancers despite only receiving tamoxifen for 2-5 years?

9 DR. POWLES: I have a real problem with
10 contralateral breast cancer in its own right. You know, of
11 all of the groups that we are looking at, populations of
12 women that may be the same or different, second cancers are
13 likely to be a subgroup that is special in many ways.
14 Therefore, I have no problem saying that an indication for
15 use of tamoxifen in a woman who has had breast cancer is
16 prevention of her second breast cancer. But taking that to
17 a healthy woman and saying the same thing works in a woman
18 who has never had breast cancer is a big step and you have
19 to be sure that you are talking about the same biology.

20 DR. DUTCHER: Dr. Margolin?

21 DR. MARGOLIN: Just a comment to follow-up on
22 that, I think in that trial also we had a very favorable
23 group of patients who were ER positive and node negative.
24 It is a highly select group of patients.

25 DR. DUTCHER: Dr. Raghavan?

1 DR. RAGHAVAN: Trev, I am still a little unclear
2 about the difference between the 2 populations. You sounded
3 tremendously confident that you could identify differences,
4 and I guess I missed the point. So, I just want to go back
5 to that. You said you thought they were younger and had a
6 stronger family history and they were more premenopausal.
7 In the NSABP group 76 percent had relatives; 39 percent were
8 49 or less and about 70 percent, I think, were less than 60.
9 Can you flesh that out a little?

10 DR. POWLES: Yes, I think the big difference is
11 that if you don't do a pedigree, if you just look at the
12 family history risk itself, then you don't really identify
13 the high risk gene population. You can be as low as, say,
14 something on the order of 20 percent of those women you
15 might identify that would actually be BRCA 1 or BRCA 2
16 positive based on just the breast cancer history. You
17 really need to do the full pedigree, and there are various
18 models that have been established.

19 I can't be confident that there are differences
20 between the Marsden and the NSABP because we can't do the
21 pedigree analysis -- we can't, on the data we have on the
22 NSABP data set. All we can say is that we can look at just
23 the numbers of first degree relatives they have with breast
24 cancer, and we can say that there are likely to be big
25 differences in the incidence of high risk genes in the

1 Marsden population versus the NSABP population. It is a
2 factor of 2 or 3. It is likely to be that different.

3 DR. DUTCHER: Dr. Ozols?

4 DR. OZOLS: I am concerned about what additional
5 information we are going to get in the future. We know what
6 the NSABP follow-up trial is. You alluded to some trials
7 that are going to be done in Europe. Do you have data on
8 some of those plans?

9 DR. POWLES: Well, the Italian trial has 5000
10 women in it, and it is a different population from the pilot
11 trial, our trial, which has 2500 women in it. The national
12 British trial has 4500 women at the moment. So, between the
13 3 of them -- I can't add that up in my head but there is
14 11,000 or 12,000.

15 One of the concerns we obviously have about this
16 hearing is that we would like to be able to hold those
17 trials together, having those numbers of women. We are
18 still accruing to the British national trial which we would
19 like to take up to a total of 10,000 women. If we can hold
20 those trials together through incidence data, we hope that
21 we will be able to get identification of clinical benefit in
22 those trials.

23 DR. DUTCHER: Other questions? Dr. Simon?

24 DR. SIMON: Dr. Powles, what percentage of your
25 invasive breast cancer cases were ER positive?

1 DR. POWLES: We haven't completed that yet. We
2 are doing it at the moment but we haven't completed that.

3 **Open Public Hearing**

4 DR. DUTCHER: Thank you very much. We have half
5 an hour allotted now for an open public hearing. We have
6 six people who have requested to speak. We ask that they
7 each state their name, identify themselves and their
8 sponsors for participation, and then following the open
9 public hearing we will proceed with the committee discussion
10 and vote. The first person is Ann Fonfa. We would ask you
11 to use the podium if possible.

12 MS. FONFA: My name is Ann Fonfa. I am a five and
13 a half year breast cancer survivor. I consider myself an
14 activist. I am very glad to be here today because I have a
15 very strong point of view on what we have heard, and much
16 stronger on what we haven't heard.

17 [Voice on telephone: "Thank you for saving me
18 from breast cancer. Today, I had a pulmonary
19 embolism.]

20 Survival is what counts for cancer patients. What
21 I heard here today only aggravated the feelings that I felt
22 when I first read the newspaper information and everything
23 that has been published so far about the trial.

24 Women died of breast cancer who took placebo;
25 women died of breast cancer who took tamoxifen; women died

1 of pulmonary embolism who took tamoxifen. This pains me
2 because as far as I can see when you are dead, you are dead.
3 It doesn't matter what you died from. I have a great
4 concern about women who come in healthy and die because of
5 something that they take, thinking that it is going to
6 prevent them from dying from something else. There is a
7 real problem here.

8 Some of my concerns include the fact that for
9 tamoxifen, while we hear that there are hundreds of
10 thousands of hours of follow-up, it is actually not very
11 lengthy in time. And, time I think is what will indicate
12 what may be further problems when healthy women are given
13 this drug.

14 So, all we have is really a 5-year or less follow-
15 up, and from what I heard today, the follow-up is really
16 very shaky. It is if the women consent, if the company
17 consents, and if we are able to continue to look at what
18 happens. We don't know whether women who are healthy take
19 tamoxifen at this point and whether they benefit for any
20 length of time afterwards. Yes, we can say that there
21 haven't been any cases but how far out are we from the end
22 of the study? We are not even 6 months. So, we are not
23 looking at any long-term follow-up right now to say, yes,
24 there has been a tremendous benefit conveyed; that these
25 women now are safe from breast cancer for the future. We

1 don't know at all that they are.

2 So, my point is really that there are endless
3 questions about what is going on, and I think it is way too
4 soon to allow this drug to be in the general population
5 because you know darned well that once doctors are able to
6 prescribe it -- you know, let's face it, we have had very
7 good success in making people aware that there is an
8 epidemic of breast cancer and, therefore, lots of women are
9 going to want it from doctors and lots of doctors are going
10 to give it to the women regardless of their risk-benefit.

11 Women with cancer and women without cancer are not
12 foolish. They need information. We have presented the
13 trial as being prevention when it has clearly been indicated
14 today that it is not; it is really a risk reduction and that
15 should be made very, very clear to the population and to the
16 physicians. I think that is very important.

17 And, once it is out in the trenches, which is what
18 I call the world of women who have cancer and the women who
19 fear cancer, they are not going to be able to hold to the
20 standards that are established, and you have to be aware of
21 that in a very serious way. Women will be asking for it;
22 doctors will be granting it. We know they are doing that
23 now with lots of other drugs.

24 I envision a situation in which you go to see your
25 doctor and the doctor says, "do you want hormone replacement

1 or do you want tamoxifen?" and, you know, you are on a pill
2 taking care of something that may or may not be useful. We
3 don't know yet. My main point has been questions.

4 I came here waiting to hear what was presented,
5 figuring I would start my talk based on everything I heard,
6 but I didn't hear anything that actually changed my point of
7 view. There has been no new information. There are still
8 tons of questions.

9 We are saying women under 49. Women under 49 is a
10 huge category. A young woman in my organization, in New
11 York, has been talking to be about the idea that women under
12 35 may have different standards. We haven't heard a word
13 about that subset and that is really scary to me. Yet,
14 women under 35 who have high risk, who have family
15 connections, who are fearful of breast cancer -- how are
16 they going to know what to do? We don't have any
17 information. Women of color -- we have no information. We
18 are leaving out all these subsets of populations, saying,
19 well, women over 60, we will just give them tamoxifen if
20 they are not taking hormone replacement, or maybe they will
21 be taking both. There is something wrong with this picture.

22 I have a very, very strong concern about where
23 this is going to go. Remember that when doctors are
24 prescribing it, when you are out in your doctor's office
25 asking what you should do and talking about your risks and

1 benefits, there are a lot of blurred lines. It is not going
2 to be clear. However many scientists here are truly clear
3 about what they have heard and what they have found out, it
4 is not going to be clear in the doctor's office. It is
5 going to make a difference. Women will not know what to do.
6 I think we need a lot more information.

7 I have a concern about the fact that we are saying
8 we only need one trial here, in the United States. That is
9 a concern for me. I don't feel that is a benefit for
10 patients or for healthy women or high risk women. I think
11 we are rushing things in a way which we should not be doing.
12 There is no reason to. It is not even like that many women
13 got breast cancer within the trial. If they are really high
14 risk -- I just don't see this. It is not strong enough for
15 me.

16 Also, we saw a slide that said the tamoxifen study
17 began in 1978. So, overall we don't even have long-term
18 follow-up to know what is going on. Leslie Ford was quoted
19 in the Journal of NCI, Volume 88, August 28, 1996:
20 Tamoxifen has been available for 30 years. It wasn't until
21 the late 1980s that we found about tamoxifen in the uterus.
22 Again, I say that over time they find things out that we
23 don't find in the hours of use because that is not the same
24 thing. You can have 300 women take it for an hour each and
25 that is 300 hours but it is not the same as having long-term

1 years out and we need to see those effects.

2 So, my main point really is that we don't know
3 enough to go forward on this at this time, and I really feel
4 we need more studies; we need more information. That is
5 really about all I have to say. Does anyone have any
6 questions for me? I would also like to point out that no
7 drug company has ever paid me for my opinions.

8 [Laughter]

9 DR. DUTCHER: Thank you. The next speaker is
10 Cindy Pearson.

11 MS. PEARSON: Good morning. I am Cynthia Pearson.
12 I am the Executive Director of the National Women's Health
13 Network. The Network is supported by a national membership
14 of individual and local organizations, and we do not accept
15 money from pharmaceutical companies or manufacturers of
16 medical devices. The Network urges the FDA and the
17 committee that has been asked by the FDA to give it advice
18 not to approve tamoxifen for prevention or even for risk
19 reduction at this time.

20 Now, how can we take such a strong negative stand
21 when women in the United States and the world have been
22 hearing such positive comments about tamoxifen and the
23 results in the breast cancer prevention trial since April 6?
24 Federal officials, including the Director of the National
25 Cancer Institute, Richard Klausner, and Donna Shalala,

1 Secretary of Health and Human Services have called the trial
2 and its results and the drug tamoxifen stunning, remarkable
3 and a historic success?

4 Well, our questions about the trial and the drug,
5 and whether this is the right time for approval for its use
6 in risk reduction -- some of them have already been brought
7 up by Dr. Powles, by Ann and others, but we would like to go
8 over them again so that you and the FDA are aware of the
9 concerns from all the places that are coming to the FDA.

10 We share the concern that has already been
11 expressed about whether or not prophylactic tamoxifen will
12 save lives. As you saw in the data earlier this morning, as
13 of right now it is not possible to say that a single woman's
14 life has been saved by taking tamoxifen for prevention, as
15 far as we can tell from the breast cancer prevention trial,
16 and it seems more and more obvious that the breast cancer
17 prevention trial will never actually be able to tell us
18 anything about whether or not tamoxifen can save women's
19 lives, tamoxifen taken for prevention.

20 Dr. Klausner says he only has guaranteed 2 years
21 of funding for follow-up. As you heard earlier, many of the
22 women who were originally on placebo are now taking
23 tamoxifen. But what you haven't heard very clearly is that
24 since April the NCI has publicly announced, and has taken
25 steps to effectuate the active recruitment of placebo women

1 to the STAR trial which has no placebo group. So, if the
2 NCI's efforts are successful there will be little control
3 group left to follow-up even if there were a guarantee of
4 more than 2 years follow-up. I see someone nodding,
5 whispering, "that's true, actually."

6 So, why are we stressing so much our concern that
7 we do not yet know if tamoxifen for prevention saves lives
8 and are likely not to ever know it from the BCPT? It is
9 because the early data are troubling. We heard over and
10 over again from the sponsor and the NSABP people this
11 morning that the data at 4 and 5 years show no harmful
12 trends; that there isn't an increase of the ER-negative
13 cancers; that none of the effects that started to be seen at
14 1 and 2 years changed for the worse at 4 and 5 so that the
15 long-term effect should be as good as the short-term
16 effects.

17 But that is not true with treatment, and everyone
18 at this table knows it. It took eight and a half years of
19 long-term treatment with tamoxifen in breast cancer for
20 survivors to see that going beyond 5 years actually caused
21 more recurrences and more deaths from breast cancer than
22 stopping tamoxifen treatment at 5 years. So, we absolutely
23 need to know those long-term data, which we are going to
24 have trouble getting. As you cleverly questioned the
25 sponsor this morning, we don't even really know all the

1 prognostic data on the women who have been diagnosed in this
2 very short period of time.

3 So, to play out the worst case scenario, we
4 already know that estrogen-receptor negative cancers are not
5 even delayed by tamoxifen for prevention. We don't yet know
6 whether estrogen-receptor positive cancers are delayed,
7 prevented or merely delayed, which would mean we would have
8 no net effect on numbers of cancers. And if, even worse,
9 this early pretreatment of non-detectable breast cancers
10 with tamoxifen results in the same sorts of resistance and
11 aggressiveness that we see with long-term treatment of
12 detected and diagnosed breast cancer, we could have the same
13 number of cancers in women who have taken tamoxifen for
14 prevention but with a worse prognosis and even a harmful
15 effect on mortality. So, this is why we have emphasized it
16 so much, and believe that we just don't know enough now to
17 change the label and tell the women of America that
18 tamoxifen can be used to prevent breast cancer.

19 Our other concern is if tamoxifen were to be
20 described to women and doctors in the United States as a
21 approved for prevention breast cancer, will more women be
22 hurt than helped? That question is answered primarily by
23 how many women will take tamoxifen and who will they be and
24 what will their level of risk be.

25 We know that even in the ideal environment of a

1 tightly controlled research time not all risks can be
2 prevented. We know that if any of you were asked, as I am
3 sure you already have been, for tamoxifen by a woman who
4 hasn't yet been diagnosed with breast cancer, you would give
5 a very reasoned analysis. Some of you would go even further
6 than the Gail model and look to who actually was in this
7 trial -- as we saw, women who had double the risk that the
8 Gail model requires. But do you really think that every
9 primary care doctor and gynecologist in America could give
10 that kind risk? Do you think that the lovely little, nicely
11 designed risk model information, user-friendly description
12 of the Gail model that Leslie Ford held up in her hands is
13 enough? I don't think so. I think that as other speakers
14 have said, busy doctors are going to respond to women's
15 expressed needs. As you know, women already have quite a
16 high concern about the likelihood of being diagnosed with
17 breast cancer. If we add FDA approval to this, we are
18 giving the manufacturer a green light to start direct to
19 consumer advertising.

20 So, if you add the concern that is already there,
21 and the limited time that most physicians have to have these
22 conversations, if you add in a high powered marketing
23 campaign, we are in for potentially 29 million users of
24 tamoxifen.

25 I am getting the signal that I need to close up.

1 We, again, conclude with saying we urge you to recommend
2 against the approval for tamoxifen for risk reduction at
3 this time.

4 We have appended to our remarks suggested labeling
5 language about how to better educate physicians and women as
6 to who might or might not benefit in the short-term from
7 tamoxifen, and maybe later there will be time to go over
8 that.

9 Thank you for the opportunity to testify, and I
10 wonder if there are any questions for me.

11 DR. DUTCHER: Dr. Simon?

12 DR. SIMON: Could you summarize briefly, based on
13 the uncertainties of long-term benefit and the risks, is
14 there a group of women who your organization believes the
15 risk-benefit ratio might be favorable for?

16 MS. PEARSON: I think our organization agrees with
17 almost everyone who has addressed that so far today, that
18 women who already have been diagnosed with lobular carcinoma
19 in situ, if -- and it is an important "if" -- if they are
20 fully informed about the risks and benefits, the fact that
21 the positive effects right now are based on one trial that
22 is yet to be confirmed, and the complications associated
23 with it -- if there is good information sharing, that is a
24 group of women for whom even this limited short-term
25 knowledge would be enough; useful.

1 DR. SIMON: Is that the only group?

2 MS. PEARSON: That is the easiest group to answer
3 it. In our language, which I know I don't have time to
4 read, we suggest that every woman considering this go
5 through a formal risk assessment. Then we recapitulate the
6 findings of the BCPT based on who actually took part in it,
7 not based on what the entry criteria were, which was that
8 for women over age 50 with a uterus there was no net health
9 benefit. Breast cancer cases were delayed or prevented in
10 the time of the trial but as many, and even slightly more at
11 least as of January 31st, as many other life-threatening
12 events took place.

13 For women over age 50 with a hysterectomy, there
14 appears to be, based on the short-term data, a net health
15 benefit if the risk of breast cancer is 2-3 times that of
16 the general population of women that age. For women under
17 age 50, it takes 5 times risk compared to the general
18 population of women that age to get a net health benefit.

19 DR. DUTCHER: I might say that in the handout from
20 her organization there is a copy of those indications you
21 can look at.

22 MS. PEARSON: Are there any other questions?

23 DR. DUTCHER: Dr. Raghavan?

24 DR. RAGHAVAN: Just for clarification, one of the
25 things that I have heard you and Miss Fonfa talk about is

1 the risk to the people taking tamoxifen in terms of what
2 might happen and deaths unrelated to breast cancer, and so
3 on. Yet, the NSABP figures show that 65 people dies on the
4 placebo arm and 53 on the tamoxifen arm. I understand the
5 numbers are small but any way you cut, slice or dice it, it
6 still means more deaths in the placebo arm. Does that not
7 affect how you view this in some way?

8 MS. PEARSON: No, it doesn't. That effect is not
9 statistically significant, and it is based on short-term
10 data where we have these troubling hints from the long-term
11 treatment that the use of long-term tamoxifen in breast
12 cancer survivors might indicate that its effect on breast
13 cancer will start to worsen.

14 DR. DUTCHER: The next speaker is Vincent Li.

15 DR. LI: My name is Dr. Vincent Li. I am the
16 Scientific Director of the Angiogenesis Foundation, and
17 neither I nor the Foundation have any financial interests in
18 Zeneca.

19 Breast cancer afflicts 1.8 million women in the
20 U.S. and it is a highly angiogenic tumor. By this, I mean
21 that breast tumors must initiate angiogenesis, new blood
22 vessel growth, in order to grow beyond 1-2 mm in size. This
23 brings oxygen, nutrients and growth factors to the tumor.
24 New blood vessels also provide an escape route for breast
25 cancer cells to metastasize to other sites in the body. The

1 smallest palpable breast cancer is 1 cubic centimeter and
2 already contains 1 billion cancer cells. To supply the
3 metabolic demands of those cancer cells, between 10 to 100
4 million blood vessel cells will have already invaded the
5 tumor. Therefore, anti-angiogenic drugs are being developed
6 to cut off the blood supply from established tumors.

7 This approach is currently in clinical trial for
8 some 26 experimental agents around the world but the
9 treatment of large, established cancers is only one goal.
10 The Angiogenesis Foundation believe that an equally
11 important goal is to develop chemopreventive strategies that
12 can prevent even the smallest tumors from gaining the
13 ability to create a new blood supply.

14 We believe that Nolvadex is the first
15 chemopreventive drug that may benefit patients at risk for
16 breast cancer through anti-angiogenesis. Tamoxifen is an
17 angiogenesis inhibitor, as well as an anti-estrogen drug.
18 In tissue culture it inhibits vascular endothelial cell
19 growth. It inhibits angiogenesis ex vivo in the chick
20 chorioallantoic membrane assay. In mice implanted with MCF7
21 human breast cancer cells tamoxifen inhibits tumor
22 angiogenesis as well as tumor growth. When given long-term
23 in animals, tamoxifen suppresses malignancies, and this
24 preventative effect has been attributed to angiogenesis
25 inhibition as well.

1 The Angiogenesis Foundation is a non-profit
2 organization dedicated to bringing new angiogenesis
3 therapies to the world through education, research and
4 innovation. Each week we receive hundreds of telephone
5 calls from patients, including many breast cancer patients,
6 seeking information on angiogenesis therapies. Patients in
7 remission, as well as women at high risk for breast cancer
8 ask us about chemoprevention.

9 In 1994, the Foundation identified tamoxifen
10 citrate as a potential anti-angiogenic chemopreventative
11 agent. In that same year, researchers from the National
12 Cancer Institute published a paper in the Journal of
13 Cellular Biochemistry supporting our idea. Based on our
14 analysis of the breast cancer prevention trial, we offer the
15 following insights for ODAC's consideration:

16 First, there is a sound biological rationale for
17 tamoxifen's use in chemoprevention based upon its anti-
18 angiogenic as well as its anti-estrogen properties.

19 Second, tamoxifen is still relatively devoid of
20 harmful effects compared to the consequences of breast
21 cancer.

22 Third, tamoxifen's approval for this indication
23 will stimulate the pharmaceutical industry to develop
24 further generations of chemopreventative drugs, including
25 other anti-angiogenesis agents.

1 Fourth, tamoxifen's approval may uncover
2 additional beneficial anti-angiogenesis effects when they
3 are looked for specifically, for example suppression of
4 diabetic retinopathy, psoriasis, arthritis, or the
5 suppression of other non-breast cancers.

6 A few words of caution are warranted, however.
7 Tamoxifen's use for chemoprevention may lead to primary care
8 doctors or nurse practitioners to prescribe the drug to
9 women who do not fall into high risk categories, and this
10 has been spoken about by others, due to pressures from their
11 patients or from a perceived benefit. Our concern is that
12 some women taking chemoprevention may avoid the gold
13 standards of self-examination, routine physician visits and
14 screening mammography.

15 Long-term use of tamoxifen may also lead to some
16 undesirable side effects of anti-angiogenesis, for example,
17 inhibition of coronary angiogenesis, delayed wound healing
18 after surgery and fetal malformation. The Angiogenesis
19 Foundation is studying this problem because it will be
20 necessary to achieve the desired effect of anti-angiogenesis
21 without disrupting the body's ability to generate beneficial
22 blood vessels.

23 Finally, tamoxifen is associated with a slight
24 increase in the incidence of endometrial cancers, and there
25 is an angiogenesis base of mechanism for this as well.

1 Animal studies have shown that tamoxifen can up-regulate
2 mRNA expression of the angiogenic factor VEGF, vascular
3 endothelial growth factor, in uterine tissues, and this may
4 be a concern for women at high risk for endometrial cancer.

5 In summary, tamoxifen is an estrogen blocker with
6 anti-angiogenic properties. Its therapeutic effects are
7 likely due in part to inhibition of breast cancer
8 angiogenesis. If Nolvadex is approved for breast cancer
9 chemoprevention, we emphasize the need to educate women on
10 the continued importance of self-examination, regular
11 checkups and screening mammography. Prescribing doctors
12 must watch for possible harmful effects, as well as any
13 additional beneficial effects, of long-term anti-
14 angiogenesis in women.

15 Thank you very much.

16 DR. DUTCHER: Thank you. Our next speaker is
17 Helen Schiff.

18 MS. SCHIFF: My name is Helen Schiff. I am a
19 breast cancer activist and survivor.

20 When you look at the results of the breast cancer
21 prevention trial, the reduction of breast cancer incidence
22 of 45 percent is stunning. I remember thinking when I first
23 read the newspaper that there probably is a group of ultra-
24 high risk women for whom the benefits would outweigh the
25 risks. I was happy some women might be helped. But the

1 more I studied the results of the trial, the more I read the
2 pros and cons, the more I thought about it, the more I began
3 to worry -- worry about giving tamoxifen to a healthy
4 population.

5 I would like to share my worries with the advisory
6 committee and with the FDA, and hope that they worry about
7 them too. I worry that the prevention trial was designed to
8 look only at breast cancer incidence. Shouldn't incidence
9 of other life-threatening diseases caused by tamoxifen, such
10 as uterine cancer and deep vein thrombosis and pulmonary
11 embolism be weighed too? Further, isn't death really the
12 most important endpoint?

13 I worry that even though there is less breast
14 cancer incidence in the tamoxifen arm, will there be less
15 breast cancer mortality? I am not the only one who worries
16 about this. Dr. Ken Osborne, a leading breast cancer
17 researcher and clinician was quoted in the June issue of
18 Oncology Times as saying, and I quote, tumors that develop
19 on tamoxifen have a somewhat poorer prognosis than those
20 that develop on placebo, suggesting that there is a
21 treatment effect on an established tumor. Over time,
22 mortality between the 2 groups may not be that different.

23 I worry that the trial was too short to know if we
24 are preventing breast cancer or holding it in check for only
25 a short time, after which a tamoxifen-resistant tumor

1 develops that does not respond to hormonal treatment.
2 Again, it is not just me. Dr. Osborne says, and I quote, a
3 major question is whether these drugs affect only
4 preclinical breast cancer or are true prevention agents. We
5 don't know if these drugs block cancer at earlier stages.
6 We may learn from the longer European studies.

7 I worry that the trial is too short for all side
8 effects to emerge. Is that why premenopausal women on the
9 tamoxifen arm showed no bone loss, contrary to the results
10 of all previous trials?

11 I worry that the average length of time on the
12 treatment arm is shorter than the overall 4-year average
13 because over 30 percent of the drop-out rate could have been
14 unequally distributed between each arm, and we just heard
15 today that it was.

16 I worry that the reason we don't know what the
17 distribution of the drop-out rate was is because the trial
18 has not been published in a peer reviewed journal, as the
19 Italians and British trials were. I worry about what else
20 we don't know because the trial was not published in a peer
21 reviewed journal. As was brought up today by one of the
22 panel members, we don't know at how high a risk the actual
23 participants in the trial were.

24 I worry that we don't know if tamoxifen works for
25 women with BRCA 1 and 2 mutations, the very population most

1 likely to want it.

2 I worry about the long-range effects on tamoxifen
3 blocking estrogen receptors in the brain, causing memory
4 loss and other cognitive deficits.

5 I worry that using the breast cancer treatment
6 trials to validate prevention trials is like comparing
7 apples and oranges. They are two different populations.

8 I worry because I learned in Project Lead, the
9 National Breast Cancer Coalition program for breast cancer
10 advocates, that you need more than one trial to validate
11 results, and we don't have that. In fact, we have two
12 trials that don't validate the results.

13 I worry that if the drug is approved women's
14 exaggerated fear of breast cancer, coupled with advertising
15 publicity, will cause irreparable harm. This drug will
16 mainly be prescribed by primary care physicians and
17 gynecologists, not oncologists.

18 I worry about approving a drug with life-
19 threatening side effects for a disease that a large majority
20 of the indicated population won't get, and those who do
21 won't die from it. Breast cancer is not an automatic death
22 sentence. The relative survival rate is 50-60 percent out
23 to 15 years. We need more data and longer trials to make an
24 accurate risk-benefit analysis. We want prevention for our
25 daughters, sisters and mothers and for all women. We want

1 answers but we are willing to wait for them to make sure
2 they are right.

3 I just have one question to ask, if there was any
4 breakdown done on premenopausal women younger than 40, the
5 35-40 age group or for the 45 age group down.

6 DR. DUTCHER: Thank you. The next speaker is Mary
7 Ann Napoli.

8 MS. NAPOLI: I am Mary Ann Napoli, from the Center
9 for Medical Consumers in New York. We are a public interest
10 advocacy organization. In the 21 years we have been in
11 existence, we have never sought nor accepted pharmaceutical
12 industry money.

13 The Center for Medical Consumers strongly urges
14 the committee not to approve for the new indication. My
15 organization has long been concerned about the growing trend
16 in this country towards treating a risk factor as if it were
17 a disease. Direct to consumer advertising of prescription
18 drugs to prevent bone loss, to lower cholesterol and so
19 forth reinforces the idea that common manifestations of old
20 age must be treated at menopause, and that people,
21 particularly women, couldn't possibly live a long, healthy
22 life without the aid of protracted drug therapy.

23 As an example of distorted ads to the public, I
24 have brought one along from Good Housekeeping magazine.
25 Though Bristol-Myers Squibb is selling cholesterol-lowering

1 drugs to women without heart disease in this ad, it stands
2 as an example of what is ahead for us if tamoxifen is
3 approved as a preventor. The woman in this ad -- you are
4 going to have to take my word for it because you are all so
5 far away, but the woman in this ad looks to be about 40.
6 The lone study to support this ad claim did, in fact, have
7 female participants but their average median age was 63.
8 Women entering middle age, rather than elderly women, are a
9 favorite target audience of drug companies, and that is for
10 good reason -- they tend to be more receptive and they have
11 a longer life span ahead in which to take drugs.

12 To show that misleading ads to the public are not
13 confined to the consumer, I have brought Merck's ad to
14 doctors for fosamax. This ad appeared repeatedly in Annals
15 of Internal Medicine in 1996. Next to the woman's face it
16 encourages doctors to prescribe no matter what her degree of
17 bone loss. Here too you are going to have to take my word
18 for it. She looks like she is no more than 50. At the time
19 of this ad campaign, the only evidence to support the drug's
20 ability to prevent bone loss was entirely confined to
21 elderly women.

22 We don't know whether Zeneca is going to be a
23 irresponsible in its advertising, but we already know that
24 there is a precedent for allowing it to happen. You add to
25 this precedent the fact that this is a country in which

1 breast cancer awareness activities have caused younger women
2 in particular to vastly overestimate their odds of getting
3 breast cancer. Middle aged women are very familiar with
4 that laundry list of risk factors for breast cancer that
5 frequently appears in the lay media, and that laundry list
6 tends to emphasize the woman's reproductive history. In
7 fact, I can't think of a single list that told women how
8 important being over 60 is.

9 Misleading ads and overestimation of risk makes
10 for a worrisome combination. Any drug billed as a
11 preventive to the public is likely to be taken literally.
12 Even if physicians confine their prescribing of tamoxifen to
13 women who are truly at high risk, keep in mind that most of
14 these women will not die of breast cancer. More likely,
15 they are going to die of heart disease. Scientific data, by
16 definition, can be replicated. Obviously, the U.S.
17 tamoxifen trial's most significant benefit has not been
18 replicated, nor has it been published in a peer reviewed
19 journal. For these two reasons alone, the advisory panel
20 should not approve it for the new indication.

21 While the equal number of deaths in the tamoxifen
22 and the placebo groups may not be statistically significant,
23 it is certainly a red flag that indicates caution in
24 approving tamoxifen on the basis of the trial that only
25 lasted four and a half years. When long-term drug therapy

1 is contemplated for healthy people, it becomes imperative
2 for the panel to be even tougher on the supporting evidence
3 than you would be if you were assessing evidence to support
4 a drug given to people with an established illness.

5 Here is a drug known to increase the risk of
6 cancer and potentially fatal blood clots. Prescribing
7 physicians who want to help a woman who is fearful of
8 developing breast cancer would want to be sure that they are
9 not causing her more health problems. They would want the
10 panel's assurance that the consequence of their prescribing
11 would be more than simply changing what it says on her death
12 certificate.

13 Thank you.

14 DR. DUTCHER: Thank you. The next speaker is
15 Sharon Batt.

16 MS. BATT: Madam Chairman, members of the
17 committee, thank you for the opportunity to testify today.
18 My name is Sharon Batt, and I am a breast cancer survivor.
19 I am here on behalf of Breast Cancer Action, Montreal, a
20 public interest organization representing women with breast
21 cancer, their families and friends.

22 About 700 Montreal women took part in the breast
23 cancer prevention trial. While the outcome of this hearing
24 will not directly set policy in Canada, the FDA's decision
25 will affect Canadian public opinion and will influence

1 regulators in Canada and elsewhere.

2 We ask the FDA not to approve the application for
3 the preventive use of tamoxifen. The 600 million dollar
4 question addressed in this trial was whether tamoxifen can
5 prevent breast cancer. Despite the statistically
6 significant difference in breast cancers between the 2
7 groups, the trial did not answer the question that was its
8 raison d'etre, and other people have discussed that and made
9 that point very well so I won't belabor it.

10 Even if tamoxifen prevents breast cancer, the
11 rationale for approving the drug is tenuous because the
12 risks are considerable. We simply don't have enough
13 information to effectively steer women from serious harm.
14 Even with the careful efforts to screen women at risk for
15 life-threatening events, deaths occurred. Outside the
16 protected confines of a trial, they will surely occur more
17 often.

18 Several people have mentioned the BRCA gene, and
19 the fact that this population is probably the one that is
20 most motivated, will be the most motivated to take tamoxifen
21 for prevention. Yet, we don't know if women carrying a
22 mutated BRCA gene are more likely to benefit from tamoxifen
23 or less. The British study suggests that these women may
24 not benefit at all.

25 It is commendable that the NSABP is planning to

1 proceed with testing for this factor but surely drug
2 approval should await results of the BRCA testing so that
3 the women from this key high risk group can make an informed
4 decision about prophylactic tamoxifen use.

5 I haven't heard any comments about the issue of
6 pregnancy. We have no data on pregnancy and tamoxifen to my
7 knowledge. This drug has only been used by women who have
8 breast cancer or women in a clinical trial. If the drug is
9 approved for widespread preventive use, surely some women on
10 tamoxifen will become pregnant and carry those pregnancies
11 to term. Although data on risks to a human exposure of
12 tamoxifen in utero is lacking, animal experiments show
13 deformities.

14 Everything about this trial has progressed "pedal
15 to the metal." The urgent need of women has been
16 incessantly invoked, first to launch the trial, then to stop
17 it, then to go public, and now to take the drug to general
18 use. Surely, it is time to pause, take our collective
19 breath and reflect on what course would truly benefit women.

20 In 1992, the FDA ruled on another controversial
21 product concerning women. Dr. David Kessler announced that
22 silicone implants would be available only through controlled
23 clinical trials until questions about their efficacy and
24 safety were answered. I ask this committee to recall the
25 principles behind that decision.

1 The first was that manufacturers, by law, must
2 prove their products to be both efficacy and safe before
3 they may be distributed and used.

4 The second was that the FDA has a duty to mediate
5 between the vested interests of manufacturers and the
6 interests of patients. The rationale for FDA intervention
7 is greatest precisely in cases where vulnerable members of
8 the public are hoping against hope for a medical solution to
9 a deeply felt need.

10 Finally, Dr. Kessler argued that meaningful data
11 was needed to answer the outstanding question about safety
12 and efficacy of breast implants. The only way to assure
13 that this information would be collected was for the FDA to
14 restrict the product's availability to clinical trials.

15 I urge this committee to uphold the standard of
16 this previous ruling and to protect the public interest.
17 The interest of science and sound medical practice will
18 benefit as well.

19 Thank you.

20 **Committee Discussion and Vote**

21 DR. DUTCHER: Thank you, and I want to thank all
22 of the public for coming and expressing their views and
23 demonstrating a high level of involvement. I think many on
24 the committee have expressed some of the same questions and
25 concerns, and I think we have to decide whether we feel we

1 can safely generate guidelines, or where we stand with this.
2 So, we are going to have to go ahead with discussion.

3 The first page of the questions to the committee
4 describes the trial -- prospective, multicenter, randomized,
5 double-blind, placebo-controlled trial of tamoxifen versus
6 placebo for 5 years in women at increased risk for breast
7 cancer as determined by age, prior history of lobular
8 carcinoma in situ, or 1.7 percent risk of developing breast
9 cancer in the next 5 years as predicted by the Gail model.
10 And, 13,388 women were randomized, 6707 on placebo and 6681
11 on tamoxifen. The objectives of the trial were to test the
12 ability of tamoxifen to prevent invasive breast cancer,
13 mortality from cardiovascular disease, and bone fractures,
14 and to assess the toxicity and safety and effects of
15 tamoxifen in this patient population.

16 The results of the trial, per FDA review, are
17 summarized in the following table. Events have been
18 categorized by age at diagnosis of the event rather than age
19 at randomization. So, I will give you a moment to look at
20 the table.

21 The first question, is the NSABP P-1 an adequate
22 and well-controlled trial demonstrating the efficacy of
23 tamoxifen for the prevention of breast cancer in women at
24 increased risk as defined by the study? Dr. Sledge, do you
25 want to discuss it?

1 DR. SLEDGE: Well, I guess I would have to say I
2 agree with most of the statement but not all of it. The
3 concern that I think both Dr. Albain and I raised is with
4 use of the word "prevention." This is a trial of very short
5 follow-up. Everything we know about breast cancer is that
6 it is a disease that takes a long term to go from a
7 premalignant to an invasive, malignant state. Here, we are
8 seeing effects within a year of starting the drug. While
9 those may be beneficial effects in and of themselves, they
10 are not prevention in the way that scientists understand the
11 word prevention.

12 So, I would have to say that while I would be
13 comfortable saying that we have demonstrated risk reduction
14 with this very well-controlled, very well-performed trial, I
15 don't think it has met the bar of what a scientist would
16 consider a chemopreventive effect.

17 DR. DUTCHER: Dr. Albain?

18 DR. ALBAIN: Those were almost exactly my words
19 too. It is clearly a well-controlled trial, and there has
20 been a very significant reduction in events at this time.
21 Regardless of what we think about the biology in patients
22 who have already had one cancer versus this population, it
23 is remarkable how much it agrees with the reduction of
24 contralateral breast cancer in patients with one cancer.

25 I think our concerns is with this word

1 "prevention." I don't think we are seeing that yet. I hope
2 that we will see it as this trial is followed but we haven't
3 had the time to say that we can use that word. In
4 particular, as was just pointed out earlier in the
5 discussion, after the study drug is stopped there is a
6 higher rate of cancers reported in the tamoxifen group than
7 the placebo, at least by the percentages that were shown by
8 the FDA review, 46 percent, 39/85, occurred after the study
9 drug was stopped versus 34/154, 22 percent, in the placebo
10 arm. But there is a very encouraging cumulative curve that
11 we saw that as this trial is being followed the curves are
12 not coming together; they are staying apart and that is what
13 we would expect from contralateral breast cancer data as
14 well. So, again, we need some more time to be certain that
15 we are seeing prevention.

16 DR. DUTCHER: Dr. Simon?

17 DR. SIMON: I guess I have one additional concern
18 with it as it is written -- prevention of breast cancer in
19 women at increased risk as defined by the study. I think
20 the study demonstrated either something like risk reduction
21 or delay of diagnosis in a group of women at increased risk.
22 One of my big problems is I am not very sure as to what that
23 group is but I don't think it has demonstrated it in women
24 in general at increased risk, or even using the risk as
25 defined in the protocol. I think there is a group of women

1 who are at increased risk who had fewer invasive breast
2 cancer events over this time period, but I think there is a
3 problem with categorizing who they are.

4 DR. DUTCHER: Dr. Justice?

5 DR. JUSTICE: I think your points about the
6 terminology are well taken, and we would be happy if the
7 committee would like to rephrase the question and subsequent
8 questions to use terminology that is more appropriate, such
9 as was mentioned -- reducing the risk for the duration of
10 the trial.

11 DR. DUTCHER: So, let me give it a try and see
12 what you think. Is NSABP P-1 and adequate and well-
13 controlled trial demonstrating the efficacy of tamoxifen for
14 risk reduction of breast cancer in a group of women at
15 increased risk for the duration of the trial, or do you want
16 a duration on this? Dr. Margolin?

17 DR. MARGOLIN: I think it was the issue of how to
18 define the population, and something to the effect of rather
19 than as defined by the study entry criteria, it was as
20 defined by those who were studied, or, you know, something
21 of that nature -- women who are like the ones who were
22 studied.

23 DR. JUSTICE: Let me try it again. How about
24 saying for the reduction in the risk of breast cancer in
25 women who were studied on the trial?

1 DR. DUTCHER: Good.

2 DR. JUSTICE: Or women with characteristics.

3 MS. BEAMAN: And, exactly how would that transfer
4 to "Woman Q. Public?"

5 DR. DUTCHER: That is down the road here I think.
6 That is to be defined, you are absolutely right.

7 Is NSABP P-1 an adequate and well-controlled trial
8 demonstrating the efficacy of tamoxifen for reduction of
9 breast cancer in a group of women comparable to those
10 studied in the trial?

11 DR. ALBAIN: Reduction of risk of breast cancer?

12 DR. DUTCHER: Risk reduction.

13 DR. SCHILSKY: How about reducing the risk of
14 developing?

15 DR. DUTCHER: Demonstrating efficacy of tamoxifen
16 in reducing the risk of breast cancer?

17 DR. ALBAIN: We can also say reducing the
18 incidence of breast cancer.

19 DR. DUTCHER: Risk? Incidence? Risk? Is risk
20 okay? The risk in a group of women comparable -- well, in
21 the women studied in the trial. That is what it was really
22 demonstrating.

23 DR. SIMON: I mean, the most accurate thing would
24 be reducing the short-term incidence of breast cancer.

25 DR. RAGHAVAN: We should put a time frame on it.

1 DR. DUTCHER: Okay, reducing the risk of breast
2 cancer, the short-term incidence. Okay, demonstrating the
3 efficacy of tamoxifen in reducing the short-term incidence
4 of breast cancer in the women entered in the trial.

5 DR. ALBAIN: In women comparable to those entered
6 in the trial?

7 DR. DUTCHER: Well, I mean, we will have to make a
8 recommendation of the patient population, but basically the
9 trial demonstrated in the patients that were in the trial.
10 Carolyn, you are not happy?

11 MS. BEAMAN: I guess I am not really clear on who
12 they were. Who were they?

13 DR. DUTCHER: Well, I think what we are trying to
14 say is that in the patients as entered in the trial, and
15 when Dr. Honig presented her analysis of the subgroups it
16 seemed that every subgroup demonstrated a reduction. We
17 will have to decide the risk-benefit ratio, which we are not
18 talking about in this one. Do you want to look at the
19 tables again?

20 DR. SIMON: I mean, I guess I don't believe that
21 every subgroup demonstrated a reduction just because they
22 were looked at one at a time. But I think if we word it
23 this way we are not really saying it is for every subgroup.
24 We are just saying there was a group of women who were
25 studied on this trial -- we are going to have to grapple in

1 the following questions with who they were and who the risk-
2 benefit is appropriate for. Basically the women who were on
3 this trial, within this time frame they had a reduction in
4 the incidence of breast cancer.

5 DR. DUTCHER: So, let me read it again omitting a
6 few more words. Is NSABP P-1 an adequate and well-
7 controlled trial demonstrating the efficacy of tamoxifen in
8 reducing the short-term incidence of breast cancer in women
9 entered in this trial, which could be all or some. Is that
10 acceptable language?

11 All those who would vote yes?

12 [Show of hands]

13 Eleven yes.

14 All those who don't know?

15 [No response]

16 Zero.

17 The next table is to discuss adverse events. I
18 will give you a moment to look at that. The mortality,
19 breast cancer-related mortality, and occurrence of other
20 cancers were not significantly different between the two
21 arms. The table points out invasive endometrial cancer,
22 DVT, pulmonary emboli, stroke, cataract surgery, hot
23 flashes, discharge.

24 Does NSABP P-1 demonstrate that tamoxifen has a
25 favorable benefit-risk ratio for the short-term reduction of

1 breast cancer in women -- well, I will read it as it is and
2 then we can change it -- a favorable benefit-risk ratio for
3 the prevention of breast cancer in women at increased risk
4 as defined by the study? If the answer is no, can the
5 committee identify a subpopulation in the study for which
6 the benefit-risk ratio is acceptable? Does this demonstrate
7 a favorable risk-benefit ratio for prevention of breast
8 cancer in women at increased risk as defined by the study?

9 DR. SIMON: I guess in this situation I am not
10 sure we should -- it is really does the treatment in this
11 population of women provide a favorable benefit-risk ratio.
12 You know, there may be certain benefits and there may be
13 certain risks. Here, I don't think we can change
14 "prevention" to short-term incidence because it is asking a
15 broader question.

16 DR. DUTCHER: Okay. Do you want to comment on the
17 question?

18 DR. SIMON: Well, I guess my own feeling is the
19 answer is no. I guess I have two concerns. One is that
20 there is some uncertainty as to what the population who
21 actually achieved short-term benefit is. The second concern
22 is I think this incorporates -- when you are talking about
23 risk-benefit you have to think in terms of long-term
24 effects. I think there is great uncertainty in terms of
25 what the long-term mortality benefits are given that many,

1 if not most of the tumors that are being prevented or
2 delayed are going to be curable by surgery plus tamoxifen.
3 I think you have to be concerned here when we talk about the
4 risk ratio. There are many women who could be included in
5 this trial who would satisfy the eligibility criteria for
6 this trial, for example, being 60 years old with no risk
7 factors, for whom I think the risk-benefit ratio was not
8 favorable. I think the long-term benefits are probably
9 relatively small and the risks are large, and the risks
10 apply to all of the women and the benefits only apply to a
11 small subset.

12 DR. DUTCHER: Dr. Margolin?

13 DR. MARGOLIN: I happen to agree with Dr. Simon,
14 but the real reason I wanted to speak is that I think if we
15 are going to remove the word "prevention" -- I think we
16 agree that this drug does not prevent breast cancer, or at
17 least there is no evidence to date. So, for consistency we
18 would still have to reword the question: A favorable
19 benefit to risk ratio for the short-term decrease -- for
20 reducing the short-term incidence of breast cancer. That
21 actually makes it a little bit easier to accept the risk-
22 benefit because we are not being asked to say, yes, we agree
23 that it prevents breast cancer but only that it reduces the
24 short-term incidence, which is pretty obviously the case.

25 DR. DUTCHER: Dr. Justice?

1 DR. JUSTICE: I just want to clarify what we mean
2 by "defined by the study." We are talking here about the
3 patients who were actually entered on the study, not the
4 patients who would necessarily have been eligible for the
5 study, because that is the data we have.

6 DR. DUTCHER: Okay, well, that is what we want to
7 use. Can we just say as defined by the study population?

8 DR. JUSTICE: Sure.

9 DR. OZOLS: Do you want to make another comment
10 about the length of follow-up? Do you want to perhaps
11 address the issue of the limited follow-up available? Does
12 NSABP demonstrate that tamoxifen have a favorable benefit,
13 because as Dr. Simon pointed out, it is a long-term thing.
14 I mean, with the data available now you are actually, I
15 guess, asking us for the short-term follow-up.

16 DR. JUSTICE: That is fine.

17 DR. JOHNSON: I actually think this is very
18 important because we are talking about two different things.
19 If we are going to talk about prevention, and the way the
20 question is worded, I think many committee members -- and I
21 am projecting now, would vote one way as opposed to if we
22 changed the wording here. I think it is important to
23 understand what we are voting on, and I would personally
24 argue that we should at least vote on the issue of
25 prevention, and then we can modify later, if you would like,

1 because I think that will have a bearing on some subsequent
2 discussion that takes place.

3 DR. DUTCHER: So your proposition is to vote on
4 the question as it stands and then modify the question?

5 DR. JOHNSON: Right.

6 DR. DUTCHER: Is that all right?

7 DR. SCHILSKY: I think we have already sort of
8 agreed by consensus that there is limited evidence for
9 prevention so why go through that exercise?

10 DR. JOHNSON: Well, it may be a subtle, and it may
11 seem like an arcane point but I think it is an patient
12 point, and I think maybe people have discussed or attempted
13 to discuss that throughout the course of the morning, not
14 only on the panel but the applicant and members of the
15 public.

16 I personally think it is a very important issue,
17 and I think Dr. Simon's point that he has come back over and
18 over again is the crux of the issue. That is, what
19 population was treated here? If we understand that
20 population very clearly, then we can judge more definitively
21 the risk-benefit ratio, at least for short-term. Prevention
22 is quite different. We have acknowledged that, and I think
23 that is why it is important. I think people may go away, if
24 we don't definitively address the issue of prevention,
25 thinking that we just used a code word for prevention.

1 DR. DUTCHER: Dr. Margolin?

2 DR. MARGOLIN: Well, I think we had better be very
3 careful about putting too many extra words also in the
4 question. Somebody suggested, you know, talking about does
5 it do it just for the short term, to the extent that the
6 study was followed, or something like that. When we commit
7 to putting a woman at high risk on an intervention we are
8 committing to whatever happens to this woman for the rest of
9 her life, and her life doesn't stop at the same time that
10 the follow-up was reported or when we took this vote.

11 DR. DUTCHER: All right, I think we should vote on
12 the question as it stands and then we will modify it. Does
13 the NSABP P-1 demonstrate that tamoxifen has a favorable
14 benefit-risk ratio for the prevention of breast cancer in
15 women at increased risk as defined by the study population?

16 All those who would vote yes?

17 [No response]

18 All those who would vote no?

19 [Show of hands]

20 Eleven no; zero yes.

21 If the answer is no, can the committee identify a
22 subpopulation of the study for which the benefit-risk ratio
23 is acceptable? I guess if the answer is no, can the
24 committee identify where the benefit-risk ratio is a
25 benefit?

1 DR. SIMON: I guess the way I interpret that is
2 the benefit of administering tamoxifen for 5 years with the
3 intention of having some anti-breast cancer effects. Is
4 there is a set of women that we can identify with that fact
5 that is likely to outweigh the risks entailed by treating
6 that group of women?

7 DR. SCHILSKY: It seems to me that the intent of
8 this question as modified is that I think we pretty well
9 agreed that as yet there is not compelling evidence for
10 prevention of breast cancer. There may be as time goes by
11 but as yet there is not compelling evidence for prevention.
12 There does seem to be compelling evidence for short-term
13 reduction in incidence.

14 So, the issue then is can we identify one or more
15 populations of women for whom the risk-benefit ratio favors
16 use of tamoxifen to reduce the incidence of breast cancer?

17 DR. SIMON: I think when you are talking about
18 risk and benefit you can't stay with the short-term
19 incidence of breast cancer --

20 DR. SCHILSKY: It will change over time.

21 DR. SIMON: You know, if we don't think that is
22 likely to translate into some mortality benefit, then how
23 can we weigh that? You know, then it becomes a less
24 meaningful thing. So, the way I view this question is that
25 there are some women who were able to at least delay,

1 possibly for a very long time, possibly for ever, the
2 incidence of breast cancer. And, we have to treat a whole
3 lot of women and expose all of them to risk in order to
4 prevent a certain number of breast cancers in whatever
5 population we are trying to identify here. When we think
6 about the risk-benefit, then we have to take into
7 consideration that some of those things that we are going to
8 be delaying or preventing, whatever it is, are going to be
9 curable anyway. Therefore, since I think that the reduction
10 in mortality is likely to be small, the reduction of breast
11 cancer mortality is likely to be small, that means that we
12 need to be focusing on a quite high risk population or a
13 population who are not so subject to some of the risks.

14 DR. SCHILSKY: But the pragmatic issue is, is
15 there any group of women to whom tamoxifen should be given
16 today? I think that is what we are being asked to address
17 here.

18 DR. SLEDGE: I voted no on this question because
19 of the word "prevention" because we have not discussed risk-
20 benefit in any meaningful sense here so far. We all know
21 what the risks are. I think the study and other studies of
22 tamoxifen give us a pretty good idea of the safety profile
23 of this drug and I think that is unlikely to change over the
24 next decade or two.

25 So, the real question to me here is the question

1 of how do we define benefit. Do we define benefit in terms
2 of short-term incidence? Do we define benefit in terms of a
3 survival advantage? If we are going to set that bar then,
4 to be honest, we are going to have to go back as a community
5 and develop an entirely new set of studies, and kind of
6 pretend for the next 20 years that we don't have the results
7 of P-1. That, frankly, is a very difficult proposition for
8 the oncology community. I think that is a real problem that
9 we have to be concerned with here.

10 DR. SIMON: We know something about the survival
11 rates of node-negative, ER-positive breast cancer.

12 DR. SLEDGE: I will tell you that having buried
13 several women with ER-positive, node-negative breast cancer
14 I won't take quite as blasé a view.

15 DR. SIMON: I am not saying it is 100 percent; I
16 am saying we know something about what it is.

17 DR. SLEDGE: We know it is better than having lots
18 of positive lymph nodes. But, you know, kind of the
19 impression one gets from hearing this discussion is that if
20 we add up thromboembolic events and add up endometrial
21 cancer and add up cataracts, and then add up the breast
22 cancer cases that you can do some sort of mathematical
23 equivalency.

24 Real life is that when one goes into the clinic
25 with a woman who has a multi-generational history of breast

1 cancer with her sister, her mother and her aunt who died of
2 breast cancer, that patient may well be willing to accept a
3 different level of risk than your 60-year old who has no
4 risk factors.

5 I guess the question comes down to really how do
6 we define benefit here, and to what extent do we remove that
7 from the bedside?

8 DR. MARGOLIN: I think in trying to identify a
9 subpopulation of patients at risk to whom we can apply this
10 data we have to be careful to be aware that this
11 identification of risk factors is a rapidly moving target.
12 BRCA 1 and 2 issues are still to be determined. The patient
13 you just described may be at risk of an ER-negative, node-
14 positive tumor, or may be BRCA 1 positive or not, and we
15 can't extrapolate too much from what little is known about
16 the actual risk factors that were used in this study.

17 DR. ALBAIN: I think too there is another side we
18 haven't heard today. We haven't heard the advocacy
19 community on the other side of this question, and I would
20 defer to our two members here. The trauma of being
21 diagnosed with breast cancer -- I don't think you can weigh
22 it the same way as getting a pulmonary embolus even though
23 you may, in fact, survive both of those events. I wanted
24 your comments on this issue because it is really difficult
25 to try and put that into the proper perspective. I had

1 hoped, actually, to hear from the advocacy community on the
2 other side because they were very involved in this trial's
3 development.

4 MS. CASSEL: I know in my risk population, having
5 a mother and a grandmother that were diagnosed with breast
6 cancer, ER positive, and today I hear you talk about, you
7 know, mortality really isn't any different, but it is your
8 quality of life of life now and you are living now. From
9 the population that I have spoken to in my similar
10 circumstances, these women want a choice -- let me go to my
11 doctor. Yes, we have pulmonary emboli; yes, we have
12 cataracts; yes, we have hot flashes; yes, we have
13 endometrial carcinoma but let me make that choice. As long
14 as I am well-versed and the physician is well-versed and is
15 honest, let me make that choice. Let me, my family and
16 physician make this choice.

17 To me, personally, I guess if you are talking
18 about endometrial cancer, I can handle that personally.
19 And, that is just me. Maybe someone else can't. I feel I
20 can have more control of that by going for endometrial
21 samplings, GYN visits, etc. But it is more personal, and I
22 think you need to give the women a choice.

23 But I am afraid, on the other end, that you will
24 have this woman who says, "oh, okay, well, here's my magic
25 pill; this will protect me. I don't need to do my self-

1 breast exam. I can miss my mammogram. I don't have to
2 follow routine." That does frighten me. So, it is a mixed
3 bag. I don't think there is going to be an easy answer.

4 MS. BEAMAN: One of my great concerns -- I
5 certainly agree with Debbie -- is that when we leave here
6 today we need to have a clear-cut definition of who was
7 helped by this. Who is this population? Where can we run a
8 reference and say, when we are talking to "Jane Q. Public"
9 that you fall into this risk of even short term and,
10 therefore, you would be a candidate for taking the tamoxifen
11 for the 5 years?

12 I am also very concerned about the fact that there
13 is a high incidence of breast cancer after that preventive
14 run of tamoxifen in women who did not have cancer before
15 taking the drug.

16 Maybe we can't clear this up today but we should
17 certainly not mislead anyone by voting in a positive way
18 here today and leaving here, having women all over think
19 that there is that magic pill and it does all of this that
20 the papers have noted up to this point.

21 DR. JOHNSON: It may be that this is simplistic
22 thinking on my part, but of the patients who did develop
23 invasive breast cancer on the placebo arm -- I am sure
24 NSABP has analyzed that group of women, and what do we know
25 about them? Are there any characteristics that stand out in

1 that group that, in fact, developed invasive tumors as
2 opposed to the other 5850 women in that arm? I mean, was
3 there something unique about that group that may have
4 identified them as a higher risk, and how did that relate to
5 the 86 women on the tamoxifen arm?

6 DR. COSTANTINO: There really is no
7 differentiation between the level of risk of the women who
8 got breast cancer and those who did not get breast cancer on
9 the placebo arm.

10 But I really feel that we need to correct
11 something that has been misstated here twice. The
12 misstatement is that the rate of breast cancer was greater
13 in the tamoxifen arm after the drug was stopped. That is
14 not correct. The rates were the same in the 2 arms after
15 drug was stopped. There was no rebound effect. There was
16 no additional preventive effect but there was no rebound
17 effect.

18 DR. JOHNSON: And just for clarification, if I
19 may, when you say that there is no difference in these 2
20 groups, that is not looking at BRCA 1 and 2.

21 DR. COSTANTINO: That is correct. BRCA 1 and 2
22 was not included. We do not have that information as of
23 yet. It is based on the factors that went into the risk
24 profiles.

25 DR. ALBAIN: Since I have apparently misstated

1 something here, I think it is very important that we have
2 this clarified because we have been given data that states
3 that 34 cases on the placebo arm were diagnosed after
4 stopping the study drug versus 39 on the tamoxifen arm.
5 That is 39/85 versus 34/154. Is this incorrect data?

6 DR. COSTANTINO: When you are calculating the risk
7 of disease, it is based on the total of women who were at
8 risk not just the number of individuals who got disease.
9 So, it is 39/6000 versus 34 out of approximately 6000. So,
10 that is why the rates are exactly the same.

11 DR. ALBAIN: Okay, thanks.

12 DR. DUTCHER: Do you think we can define a
13 population, or should we go on to another question and try
14 to come back to this?

15 DR. JUSTICE: Well, I mean, that is the question.

16 [Laughter]

17 I just want to clarify. Dr. Johnson wanted to
18 vote separately on the prevention question. Do you also now
19 want to vote on the overall population, reducing the short-
20 term reduction in risk? Is that what you would like to do
21 overall, and then, if the answer is no, do that for a
22 subgroup?

23 DR. JOHNSON: Well, I am having a difficult time,
24 based on the information that has been provided to us, to
25 come up with a subpopulation. I don't see how any of us

1 could. It would be speculation on our part. I am sure the
2 applicant is going to do a number of analyses over the next
3 several months as they go through these data. It seems to
4 me the only thing we can do is vote on whether the
5 population that was entered into the trial is appropriate
6 for the indication or not. That seems to me to be the only
7 thing that we can do. I think that is going to be a
8 difficult vote but that is my view.

9 DR. DUTCHER: Dr. Raghavan?

10 DR. RAGHAVAN: I think we are sort of beginning to
11 set a different standard from the deliberations of the
12 committee over the last few years. In a way, maybe you
13 could say that is okay because we are dealing with
14 prevention issues as opposed to treatment issues. But the
15 way we have approached drugs coming through the committee
16 over a period of time is that we have made our decisions
17 based on the data available.

18 I have been sitting here, scratching my head for
19 the last three hours, trying to figure out what the rush was
20 to come to this committee because there is a wealth of
21 information there. I mean, this is a fantastic trial. It
22 has been done by one of the best groups in the world. If we
23 turn down the application I think it would be a real shame
24 for anyone to interpret in any sense that it reflected on
25 the NSABP. It reflects on the judgment to come to the FDA

1 at this time; it has nothing to do with the quality of the
2 data as they stand.

3 I think the reality is that we are all experts in
4 the field but, unlike Dr. Sledge, we don't have a prescience
5 and --

6 [Laughter]

7 -- therefore, we can only look at the data and
8 even Dr. Sledge wouldn't try to influence this committee on
9 his knowledge of what will come down the pike -- quoting his
10 own words back at him.

11 So, I think one of the problems with the questions
12 is that they were framed in advance of the meeting and we
13 are now wrestling, trying to fit them into a mold that
14 really we can't fit.

15 I think one of the much more interesting issues
16 that we should come up with today is the question of do we
17 think that another trial needs to be done? Do we have to go
18 back to square one? I personally think not. Or, do we need
19 more data to be extracted from the trials that are extant?
20 You know, we have quoted journal reports. We are fortunate
21 to have Dr. Powles here. But essentially we have not had
22 raw data to look at. The advocates who have spoken, have
23 spoken as if there is something magical in the peer reviewed
24 published press, and there isn't. I mean, the peer reviewed
25 published press will often have less information than we

1 have heard today.

2 So, I think all we can do in the context of where
3 we stand is look at the data that are on the table. I don't
4 think we should from now on for the rest of the discussion
5 ask the members of the NSABP to data dredge to try to help
6 us. I think the data are on the table. We can either make
7 decisions based on those with a frame of reference that says
8 we have this information out to this point. I think the
9 NSABP knows as well as we do that curves come together. I
10 think we all have a hunch that these curves won't because
11 breast cancer generally doesn't adopt a zig-zag course but
12 the reality is that we don't know that for a fact.

13 So, I think instead of trying to fit molds of
14 questions that really may not be appropriate now, after all
15 we have heard, I think we just have to look at the data that
16 are available rather than trying to extract more bits of
17 information in an ad hoc fashion.

18 DR. DUTCHER: So, we could rephrase the question
19 into does the study demonstrate that tamoxifen has a
20 favorable benefit-risk ratio for a reduction in the short-
21 term incidence of breast cancer, and that becomes the
22 question. Is the benefit-risk ratio sufficient for the
23 reduction in breast cancer as observed?

24 DR. SIMON: I guess I am a little confused as to
25 what that would mean, in other words, to look only at the

1 incidence and not worry at all about that might translate
2 into or not translate into. I don't know, I have a little
3 trouble with that.

4 I guess the other thing is the issue we are
5 supposed to be talking about, "as defined by the study
6 population." You know, I think we haven't received a whole
7 lot of information, at least for the women over 60, in terms
8 of what that study population really looked like.

9 DR. DUTCHER: Well, we can deal with the
10 information that we have -- I mean, that is what we have to
11 do, and decide whether the benefits to this group of people
12 outweigh the risks as demonstrated in the study. We have,
13 certainly, the short-term risks and we have the short-term
14 benefits.

15 DR. MARGOLIN: I think that would be consistent
16 with how we chose to vote on question one, and it would be a
17 logical follow-on to our vote on question one. It is just,
18 you know, in women at increased risk as studied in P-1. We
19 have already given up on trying to define the subpopulation.

20 DR. SIMON: Well, at some point I think you have
21 to take cognizance of the fact that if you are going to say
22 something has a favorable benefit-risk ratio, then it is for
23 some defined group of women and you want to make sure you
24 understand what that definition is and try --

25 DR. DUTCHER: But I don't think we have enough

1 information to do that. I mean, I think we really are going
2 to have to go back and look at each subgroup.

3 DR. JUSTICE: I think that is our job, to get that
4 information from NSABP, but what we are saying is based on
5 the trial results, when we get that all sorted out, what is
6 your recommendation?

7 DR. RAGHAVAN: Richard, you are torturing us, as
8 only a statistician can.

9 [Laughter]

10 You know, the reality is that this group took
11 13,000 courageous volunteers and, at the end of a lengthy
12 period of time, demonstrated that those people who were
13 exposed to tamoxifen for 5 years and less had less breast
14 cancer, which is a good thing. And we have asked them, and
15 we have shaken them, and we have said tell us which ones you
16 think are the best players, and they said, "we don't yet
17 know," I think the operative word being "yet." Maybe from
18 this data set they will never know, but the answer for us
19 now is "yet." And, you are setting us to a standard that
20 makes us prestigiate because the data just aren't there.

21 DR. SIMON: I mean, a prevention trial is
22 different than a therapeutic trial, and basically it is
23 different because relatively few proportion of women who get
24 the drug benefit but, yet, everybody is subject to the risk.
25 So, when you say is it worthwhile to treat all of these

1 women for this benefit, it is more important than typically
2 in a clinical trial, a therapeutic clinical trial, to sort
3 of assess who really was subject to the risks and who really
4 got the benefit, and were there women who just weren't
5 studied enough, with enough numbers, to know whether they
6 got the benefit or not. If so, then you probably wouldn't
7 want to believe that you really knew whether it was
8 appropriate for them.

9 DR. DUTCHER: Go ahead.

10 DR. MARGOLIN: I hate to torture the discussion
11 even further but in answer to Dr. Simon's concerns, we could
12 only legitimately do that on pre-stratified factors anyway
13 because subset analysis is not something we want to rely on
14 retrospectively in any case when those factors weren't pre-
15 stratified. And, you can't pre-stratify for factors about
16 the cancers that hadn't developed at the time that patients
17 were enrolled.

18 DR. SIMON: I mean, I really wasn't looking so
19 much for looking at every subset that benefited. I really
20 was more looking for just a clear description of who were
21 the women who got in the trial and, for example, for the
22 older women who were they in terms of how many risk factors
23 they had, and that sort of thing, to make sure that we have
24 enough evidence that they were represented in this trial and
25 that they would then be included in a recommendation.

1 DR. RAGHAVAN: The way you could get around that
2 is that you could potentially vote in the affirmative in the
3 phraseology that Dr. Dutcher portrayed, and then put in a
4 caveat that at the present time the specific women likely to
5 benefit have not yet been identified. That could be made as
6 a caveat to the vote, or you can vote no. But you can't do
7 more than that because the data just aren't there. You
8 can't speculate.

9 DR. DUTCHER: We could put something in saying for
10 women with 5 times the risk. No? You don't like that? We
11 could also ask the question does it demonstrate a favorable
12 risk-benefit for reduction of incidence of breast cancer for
13 women at increased risk as defined by the study population?
14 Then, the second question could be can you define the exact
15 population for which the greatest benefit exists?

16 DR. SLEDGE: I think we get into real danger when
17 we subset. I agree entirely with Derek. We have a study
18 population. The study was not designed to look at the
19 subsets with any statistical precision. We don't have long
20 enough follow-up to make those judgments even
21 retrospectively. I think we either vote it up or down for
22 the study, not for the subsets.

23 DR. DUTCHER: Okay. With the limited follow-up
24 available, does NSABP P-1 demonstrate that tamoxifen has a
25 favorable benefit-risk ratio for decreasing the incidence of

1 breast cancer in the patients in this study population?

2 All those who would vote yes?

3 [Show of hands]

4 Nine. Nine, yes.

5 Those who would vote no?

6 [Show of hands]

7 Two. Two, no.

8 The next question is dealing with the comparison
9 or at least the evaluation of the other trials, the Italian
10 trial and the Royal Marsden trial. There are a couple of
11 tables to look at.

12 What effects should the results of the Royal
13 Marsden and Italian tamoxifen breast cancer prevention
14 studies have on the approvability of the indication that the
15 applicant is seeking? If they do not affect approvability,
16 should the results be addressed in the tamoxifen package
17 insert and patient package insert?

18 Any comments? Dr. Simon?

19 DR. SIMON: Well, I think the Royal Marsden trial
20 just highlights the fact that there are some women who
21 benefit and some women who don't, and we don't know really
22 at this point -- there is some population that is benefiting
23 from this intervention but it is not really clear what it
24 is.

25 DR. DUTCHER: Dr. Margolin?

1 DR. MARGOLIN: Those trials were not scrutinized
2 or reviewed by the FDA reviewer the way the P-1 study was,
3 and I don't think they should be allowed, you know, other
4 than for discussion.

5 DR. DUTCHER: Dr. Schilsky?

6 DR. SCHILSKY: I guess I just have one question
7 about the wording. Since the indication the applicant is
8 seeking is use of tamoxifen for prevention of breast cancer,
9 based on our discussion up until now, are we assuming that
10 the wording of the indication would be changed or not?

11 DR. JUSTICE: Yes.

12 DR. SCHILSKY: We are assuming that?

13 DR. JOHNSON: Let me just ask a question and make
14 a comment. I mean, I have heard the comments made by many
15 of the public speakers and our advocates on the panel, and
16 repeatedly the comment has been made that we need
17 information. These are two studies that have, in fact,
18 appeared in the peer reviewed press, although perhaps not as
19 heavily scrutinized as they might have been by the FDA and I
20 will grant Dr. Raghavan's comment that the peer review
21 process may not be quite as stringent as the FDA ODAC
22 process, certainly not as tortuous, but, nevertheless, they
23 have been reviewed and I do think those are data that, at
24 least if I were thinking about going into a trial onto a
25 drug, or if my wife were or my daughter, I think it would be

1 good for them to have that information. So, the fact that
2 it is in peer reviewed literature would certainly make me
3 comfortable including it. I don't feel impelled to include
4 it but I think I would feel comfortable including it.

5 DR. JUSTICE: We would certainly characterize it
6 as having been reported, not as having been reviewed.

7 DR. DUTCHER: I would also like to say I agree
8 with Dr. Simon's interpretation which just focuses more
9 clearly that we don't know who to treat, even if we think
10 there is something positive happening here.

11 DR. JOHNSON: Well, I think that is what these
12 data show. Distinctions are made in this type of table.
13 Admittedly, it may be fairly sophisticated for the average
14 physician, let alone the average lay person, to try to
15 distinguish all of this information but, nevertheless, it is
16 there. One can refer to it; one can compare and contrast,
17 and understand that there, in fact, is a difference.
18 Furthermore, I think it gives a lot of credibility to the P-
19 1 trial based merely on the size of the trial. I mean,
20 there is so much there that is useful, it seems to me, that
21 it is worth including it.

22 DR. SLEDGE: I would agree with David. I don't
23 think this alters the approvability or non-approvability but
24 I think it is certainly reasonable information to include in
25 the packet.

1 DR. DUTCHER: All those who would vote yes on
2 question three?

3 DR. SLEDGE: Which part?

4 DR. DUTCHER: We want a yes/no question. Should
5 the results of the Royal Marsden and Italian tamoxifen
6 breast cancer prevention studies have an effect on the
7 approvability of the indication that the risk reduction of
8 breast cancer indication --

9 DR. JOHNSON: I wonder, rather than voting on
10 this, if it might not be worth just getting the sense of the
11 panel? My personal view is that I think we have heard from
12 Dr. Powels, and we have seen and read these two manuscripts
13 from the published data, I think as has been pointed out
14 earlier by someone we were asked to address the data
15 presented to us. We have not scrutinized these data nearly
16 to the extent that the data that we are currently
17 deliberating has been reviewed.

18 So, in my view the answer to the first part should
19 be no. I don't think it should have an impact unless we had
20 that data set to review in the same kind of detail.

21 The answer to the second part, however, is given
22 the fact that these data are in peer reviewed press, it
23 seems to me it is appropriate to include them as
24 information, as Dr. Justice has pointed out, in the package
25 insert.

1 DR. JUSTICE: Yes, I think if that is the sense of
2 the committee, it is fine with us.

3 DR. DUTCHER: Fine. Question number four, should
4 tamoxifen be approved for the prevention of breast cancer in
5 women at increased risk as defined in the study or as
6 identified in the answer to question two?

7 Do you want to vote on this or do you want to get
8 rid of "prevention?" We are going to get rid of
9 "prevention."

10 Should tamoxifen be approved for risk reduction of
11 short-term incidence of breast cancer in women at increased
12 risk as defined in the study?

13 DR. ALBAIN: Would you read that again?

14 DR. DUTCHER: Should tamoxifen be approved for
15 risk reduction of short-term incidence of breast cancer in
16 women at increased risk as defined in the study?

17 DR. SIMON: Could we change to as defined by the
18 study population rather than in the study?

19 DR. DUTCHER: All right, as defined by the study
20 population.

21 DR. SIMON: And, I guess that puts an onus on the
22 FDA to figure out what that is.

23 DR. DUTCHER: Well, it seems to me that this is a
24 question where we could also put in something about defining
25 an appropriate study population. I think we are back to

1 where we were.

2 DR. JUSTICE: I think what we would like you to do
3 is vote on the overall question and then, if the answer is
4 no, if you think there is a population that you can vote yes
5 for, cope with that.

6 DR. DUTCHER: Either a population or level of
7 risk.

8 DR. JUSTICE: Either.

9 DR. SIMON: I mean, I personally am very
10 comfortable with your proposal that we say something about
11 for women at high risk, or even to put in a relative risk.

12 DR. SLEDGE: Again, this gets back to the
13 subsetting issue. I am very uncomfortable about subsetting
14 on this.

15 DR. SIMON: It is not an issue of subsetting. It
16 is an issue of saying there is an overall effect but, if
17 your relative risk isn't high, then the risk-benefit ratio
18 is not favorable.

19 DR. SLEDGE: I understand, but we don't have the
20 data to give us a cut-off. I mean, are you going to use 2.1
21 percent, 3 percent? If you have that data -- I haven't
22 heard it today -- that would allow me to make that decision.

23 DR. JOHNSON: George and I may agree on this.
24 Even though we are not sitting next to one another, we are
25 not sending secret signals to one another. I agree. On the

1 other hand, it also suggests that I have a knowledge of the
2 risk that I am not subject to that would allow me to decide
3 to take the drug. In fact, it seems to me that an
4 individual who is being asked to take the drug has to decide
5 whether that is an appropriate risk or not. Therein lies
6 the conundrum that we are faced with. What the data have
7 said is that at least at this level of risk and beyond,
8 whatever that might be, in the totality of the way the study
9 was conducted there was a reduction in the incidence of
10 breast cancer. So, beyond that it is very difficult for me
11 to distinguish now, and my risk of getting shot walking down
12 the street in Nashville is probably a little less than
13 somebody walking down the street in New York. I am not
14 banging New York --

15 [Laughter]

16 -- the point is they are different. I accept that
17 risk and some people accept that risk living in New York.

18 DR. DUTCHER: In the Bronx.

19 DR. JOHNSON: Yes, okay, it is higher.

20 DR. DUTCHER: But on the other side of it, if we
21 can't decide making a patient decide or a subject decide
22 isn't fair at all. And, if I am going to be giving them
23 cards that tell them what their risk for breast cancer is --
24 I mean, I don't think that is fair either. I think we have
25 to somehow, in our own minds, be able to say, you know,

1 "here's where you fit into this spectrum, and here's your
2 risk of PE and here's your risk of breast cancer."

3 DR. JOHNSON: No, we are exactly agreeing. My
4 point is I don't think we can do that. George has pointed
5 out that we have a tremendous amount of information about
6 the side effects of this drug and that this trial, if
7 anything, confirms our knowledge of the side effects of this
8 drug. So, that was good. We didn't find something totally
9 unexpected. There was nothing here that wasn't known about
10 this drug vis-a-vis side effects.

11 What we did find, however, was that in a group of
12 women at a level of risk or beyond there was a reduction in
13 the frequency of breast cancer. We did learn that. There
14 were certainly lots of side effects. There were side
15 effects in the placebo arm as well. I think all we can say
16 is that for that level of risk or beyond we can approve this
17 drug or not for that indication.

18 Now, if we want to go further and say, well, in my
19 mind you have to have not 1.66 but 3.0 or 5.0, well, that
20 bothers me. I mean, as a committee we have decided many
21 times before that we want to give full information to the
22 patient, allow the patient and the physician to make the
23 decision at what level of risk he or she may wish to take
24 this medication. It seems to me that we should not
25 artificially set that bar. We should use the data that has

1 already set the bar, for whatever reason that was selected,
2 and use that and then allow the patient to have that
3 information.

4 DR. DUTCHER: Dr. Schilsky?

5 DR. SCHILSKY: Yes, I agree with David. You know,
6 I think the problem is that at any point where we would set
7 the bar would be artificial. If you go around this table
8 and ask people to define which population you think has the
9 optimal risk-benefit ratio, you are going to get a different
10 answer from each of us, undoubtedly. That just reflects the
11 fact that there is going to be a different ratio in every
12 doctor-patient encounter when this is brought up for
13 discussion.

14 So, I think it is probably unwise for us to try to
15 specify in the context of this discussion some ratio. You
16 know, I am very sensitive to many of the remarks that were
17 made by members of the community at large about concerns
18 that busy doctors are not going to have time to adequately
19 discussion these things with patients, and that hysterical
20 patients are going to be out there demanding tamoxifen, but
21 I think, nevertheless, it is incumbent on the medical
22 community, on the patient advocacy groups, and all who are
23 involved to devote their energies to educating patients and
24 physicians about how to determine risk and benefit in this
25 sort of circumstance, and then let those discussions between

1 doctors and patients go forward.

2 DR. DUTCHER: Dr. Ozols?

3 DR. OZOLS: I agree that I think this is not
4 unique to this drug or this situation. I think physicians
5 very frequently discuss risk-benefit ratios for all sorts of
6 treatments, and it ultimately comes down to a decision
7 between the patient and the doctor. I think we aren't going
8 to be able to say that at some level you must take this drug
9 because that is not going to be the case. So, I think we
10 need to have that option for the patients and the physicians
11 to be able to discuss that and then come to an individual
12 decision.

13 DR. DUTCHER: But I also do think, as was brought
14 out, that physicians in different fields have different
15 perspectives on the risks. For example, if you talk to a
16 gynecologist about hormone replacement or an oncologist
17 about hormone replacement therapy you may get two different
18 perspectives. So, I don't know that oncologists, in terms
19 of assessing risk-benefit or discussing it are going to be
20 the people that will be discussing it with subjects or with
21 people that would get tamoxifen, frankly, and I think that
22 is where the educational aspects have to come in, in terms
23 of people that have cancer phobia, saying everyone should
24 get a drug that has clearly a risk-benefit ratio that varies
25 with the patients or the subjects that are getting the drug.

1 DR. SCHILSKY: I do think that is a critically
2 important point because I think essentially all of us around
3 the table are medical oncologists. In fact, you know, we
4 may be participating in counseling some patients but we are
5 not likely to be prescribing this drug for this indication a
6 whole heck of a lot because, you know, the candidates for
7 this are people who don't have cancer. So, they are not the
8 ones who are coming to see us with great frequency, and they
9 are going to be seen in the community by private
10 practitioners, by generalists, by OB-GYNs and so on, and
11 their perspectives and the importance of educating them
12 about this issue I think has to be paramount. So, we need
13 to just send the message that we think the risk-benefit is
14 beneficial for women who are at high risk of breast cancer.

15 DR. SLEDGE: Actually, I would like to add
16 something to what Dr. Schilsky said. I generally think this
17 is a drug that should be approved because I think doctors
18 and patients should be allowed to decide this issue on an
19 individual basis.

20 Having said that, I am tremendously concerned
21 about how it is going to be used, and I think for a
22 chemoprevention drug, however so defined, there probably
23 should be a higher bar in terms of doctor-patient
24 communications, specifically in terms of the onus on the
25 company and on the NCI's chemoprevention branch to provide

1 information to patients about this. I suspect this has
2 never been done, but I would be quite happy making my
3 recommendation dependent upon real evidence that the NCI and
4 the company are going to put real resources into patient
5 education and doctor education on this issue.

6 DR. ALBAIN: I was just going to say the same type
7 of thing. The sponsor has an incredible and exciting
8 challenge here to be the first out there with this type of
9 approval for breast cancer prevention, and really doing this
10 education process, getting out to the primary care
11 societies, to the gatekeeper physicians who will be seeing
12 this type of patient.

13 DR. RAGHAVAN: As a coda to that, I think there is
14 a very substantial responsibility to develop a mechanism
15 for following these patients as well because that is clearly
16 what we are all worried about, and that is what the advocacy
17 groups have said. They don't want, and we don't want to see
18 any patients developing a whole series of complications
19 late.

20 Now, that puts a big responsibility on the sponsor
21 because that sort of thing costs money. I guess what that
22 says is that the FDA, the sponsor and the NCI
23 chemoprevention branch need to figure out a mechanism. That
24 is not our role here. I think our role is to identify what
25 the problems could potentially be, and I think we all

1 recognize the benefits that NSABP have shown out to 5 years.

2 We are stuck with the fact that there is a whole
3 alternative lobby out there who never bring their products
4 to the FDA that patients in this situation use every day of
5 the week. We, as a group, probably underestimate
6 outrageously some of the products that have really
7 substantial complications. So, we don't want to set the bar
8 to a level where tamoxifen, with FDA, NCI, NSABP and anybody
9 else's blessing is being kept away from patients when all
10 sorts of other more dangerous products are available. At
11 the same time, we don't want to sanction this and then in 20
12 years say, "boy, have we got a lot of complications that
13 we've only just discovered!" So, there needs to be a
14 mechanism for monitoring I think if we let this through.

15 MS. CASSEL: What I envisioned was going to my
16 primary physician, and a decision is made to take the
17 tamoxifen. I envision then being put into a database with
18 the sponsor, being followed up with adverse events that the
19 physician and the patient knew were serious or questionable
20 and then being given follow-up newsletters periodically.
21 So, you are kept in a database and that you are well versed.

22 DR. DUTCHER: You envision this because you
23 thought it was a good idea or someone told you this would
24 happen?

25 MS. CASSEL: This is what my blue-sky vision would

1 be for this compound.

2 DR. DUTCHER: Has the sponsor considered a
3 registry of tamoxifen prevention people?

4 DR. JOHNSON: Where I live people try to avoid
5 being in databases like that.

6 [Laughter]

7 MS. BEAMAN: I think that it would, indeed, be a
8 blue-sky event. I am a representative of a population that
9 when there is a breast exam or a gynecological evaluation
10 the patient goes to see the OB-GYN. Then, when that happens
11 and you tell them that, you know, "my mom had breast cancer
12 and I know that now I can get it," or, "I've heard of it,"
13 and a prescription is written.

14 DR. DUTCHER: Period.

15 MS. BEAMAN: That is it. There is no follow-up.
16 There is no nothing. And we are going to see a major blow-
17 out; a major blow-out in that particular population. There
18 is no database. There will be no follow-up. Sometimes the
19 follow-up doctor visits can be the difference between paying
20 rent or not following up on something that could be a very
21 positive indication of uterine cancer or something. But, at
22 the same time, who are the people who were helped? If we
23 clearly define that, then those are the people who will
24 benefit from this particular data.

25 DR. DUTCHER: Dr. Justice?

1 DR. JUSTICE: I would just like to comment that we
2 have clearly gotten the message that an extra special
3 education campaign needs to be undertaken, and we will work
4 with Zeneca to see what they are willing to do if you vote
5 yes on the question.

6 DR. DUTCHER: If what?

7 DR. JUSTICE: If you vote yes on the question,
8 obviously.

9 DR. DUTCHER: Okay. Are you ready to vote? No?

10 DR. SIMON: It says increased risk. Can we say
11 high risk?

12 DR. DUTCHER: But we haven't defined high risk.

13 DR. SIMON: Increased means anything greater --

14 DR. DUTCHER: No, it says increased risk as
15 defined by the study population.

16 DR. JUSTICE: Yes, I think our intent is to
17 characterize the risk in that population and put it in the
18 labeling, and so it will be indicated.

19 DR. DUTCHER: You will put tables in?

20 DR. JUSTICE: We will put as much information in
21 there as we can fit.

22 DR. DUTCHER: Should tamoxifen be approved for
23 risk reduction of the short-term incidence of breast cancer
24 in women at increased risk as defined by the study
25 population?

1 All those who would vote yes?

2 [Show of hands]

3 Nine. Nine, yes.

4 Those who would vote no?

5 [No response]

6 Those who abstain?

7 [Show of hands]

8 Two.

9 Okay. Question five, in the study participants
10 were required to have a history and physical examination,
11 blood tests including CBC and chemistries, renal function
12 and liver function, gynecologic exams including pelvic and
13 Pap smear, at baseline. Women were required to have had a
14 normal mammogram within the past 6 months. After study
15 entry, a physical examination, breast examination and blood
16 tests were performed at 3 and 6 months and then every 6
17 months. yearly mammograms and gynecologic evaluation, as
18 defined at baseline, were required.

19 Does the committee recommend that the package
20 insert and patient package insert should include all of the
21 above protocol-specified monitoring?

22 Go ahead, Dr. Ozols.

23 DR. OZOLS: Yes, I think the gynecologic exam and
24 physical exam certainly should be continued. I don't see
25 any indication that you need all the blood tests.

1 DR. SLEDGE: And a mammogram obviously.

2 DR. JOHNSON: Unless the applicant tells us that
3 they have looked at that data and they have seen something
4 that would be unique for that study -- presumably no.

5 DR. DUTCHER: Do you want us to actually vote on
6 that question?

7 DR. JUSTICE: You don't need to vote.

8 DR. DUTCHER: Okay. Revised question six,
9 endometrial sampling at baseline and annually was added as a
10 protocol amendment. Four thousand three hundred forty-five
11 women were screened from 1 to 5 times; 26/47 women with
12 endometrial cancer had at least 1 endometrial sampling. One
13 comparison that could be made is shown below. You can see
14 the table.

15 The detection rate on a per patient basis, not per
16 sampling, was similar with or without endometrial sampling.
17 Twelve women, 0.28 percent of women with sampling, were
18 found to have endometrial cancer on sampling; 4 were
19 randomized to placebo and 8 were randomized to tamoxifen.
20 Six of these women, 0.14 percent of women with sampling, had
21 no antecedent signs or symptoms and diagnosis of their
22 endometrial cancer might have otherwise been delayed. Four
23 of the 6 were found to have endometrial cancer on routine
24 sampling, and the other 2 were found to have complex
25 atypical hyperplasia, which was treated with hysterectomy

1 and endometrial cancer was found incidentally during
2 pathology review.

3 Based on the information from this study, should
4 the package insert and patient package insert recommend that
5 women who take tamoxifen for the short-term reduction of
6 breast cancer incidence undergo yearly endometrial sampling?

7 DR. SLEDGE: No. This is the "OB-GYN employment
8 act of 1998!"

9 [Laughter]

10 DR. DUTCHER: What do you think is sufficient?

11 DR. SLEDGE: My review of the literature is we
12 have nothing other than the patient's symptomatology that
13 really represents a reliable indicator of whether or not the
14 patient is likely to have endometrial cancer, and to mandate
15 a procedure that is of unproven benefit I think would be
16 enormously expensive and would not save any lives.

17 MS. CASSEL: You don't think it should be done as
18 screening as entry criteria?

19 DR. SLEDGE: No, I do not. I mean, we are talking
20 about a low -- you know, this is given as a per patient
21 rate. The real question is on any given sampling what is
22 the likelihood of finding endometrial cancer, and the answer
23 is that it is infinitesimally small. So, you are doing a
24 huge number of samplings to get a very tiny benefit, if that
25 benefit is real in terms of early detection of endometrial

1 cancer, which we don't know.

2 MS. CASSEL: Unless it is you --

3 DR. SLEDGE: I have no objection to a patient
4 requesting it, and I have no objection to someone ordering
5 it. I am saying to mandate it in the absence of any data
6 that it is beneficial I think would be very unfortunate.

7 DR. RAGHAVAN: Yes, I agree with George, and I
8 think the NSABP presentation gave some data, as I recall, a
9 couple of days ago when they first started to speak --

10 {Laughter}

11 -- that they were, (a) dropping it from their
12 future protocols and, (b) it was a rationally-based decision
13 that had to do with the pick-up rate from the procedure.
14 They may want to comment on that now but that was my take
15 from either Dr. Wolmark or Dr. Costantino, that didn't
16 influence staging.

17 DR. DUTCHER: All right. So, all those who would
18 vote yes on question number six, that women should undergo
19 yearly endometrial sampling?

20 [No response]

21 Zero.

22 All those who would vote no?

23 [Show of hands]

24 Nine, yes.

25 All those who abstain?

1 [Show of hands]

2 Question number seven, in the P-1 trial, women on
3 tamoxifen had a higher incidence of cataract formation and a
4 higher rate of cataract surgery. Information about non-
5 cataract ophthalmologic toxicity was not collected. Should
6 the package insert and patient package insert recommend that
7 women who take tamoxifen for the prevention of breast cancer
8 undergo yearly eye examinations?

9 DR. SLEDGE: Again, no. I mean, first, I didn't
10 get a good sense from the data about when these cataracts
11 developed, how many years you had to be on study, or
12 whatever, for the average cataract to develop. Secondly, we
13 are not talking about someone losing their eyesight here; we
14 are talking about someone needing cataract surgery in a
15 small percentage of the cases. You know, the indication for
16 cataract surgery in many cases is that the patient notices a
17 change in vision, not just simply the development of
18 cataracts, as was clear in this trial where, I guess, a
19 fifth of the patients who had cataracts actually went on to
20 cataract surgery.

21 DR. DUTCHER: Dr. Albain?

22 DR. ALBAIN: There was additional data from the B-
23 14 population too that did show an increased incidence of
24 posterior lens opacity, which is a rare type of cataract,
25 and I am just wondering if we ought to consider recommending

1 at least a baseline eye evaluation before women go on the
2 drug.

3 DR. DUTCHER: You want that in the package insert?

4 DR. ALBAIN: Yes.

5 DR. DUTCHER: Dr. Margolin?

6 DR. MARGOLIN: Just as a modification of that,
7 even though the numbers were hugely higher in postmenopausal
8 women, that is where the p value was highly significant, and
9 since postmenopausal women have a higher incidence of any
10 kind of eye problems maybe it would be prudent to recommend
11 at least a baseline eye evaluation, and then p.r.n. in that
12 population of patients.

13 DR. DUTCHER: Dr. Schilsky?

14 DR. SCHILSKY: I guess I am not convinced that it
15 is worthwhile to put this in the package insert. You know,
16 if the postmenopausal women in this study are anything like
17 my mother, they go to the eye doctor about every three
18 weeks, anyway. But I think that the real issue in my mind
19 is whether you are going to take any action based on the
20 test results. You know, if you have a baseline test that
21 shows some cataract formation, I don't know whether that
22 would influence a decision whether to go ahead with the
23 treatment or not. Furthermore, if you had a follow-up test
24 that showed cataract formation, I doubt that would result in
25 your discontinuing the therapy. So, I would feel

1 comfortable making the risk known without making the
2 recommendation for the exams to be done, and just basing the
3 need for exams on symptoms.

4 DR. DUTCHER: I don't think we can actually
5 legislate when people go to a physician before they start a
6 medication, and it may add an expense that is unnecessary.
7 But I do think that the awareness should be there that it is
8 a potential problem and that people need to know that they
9 have to evaluate new changes in their vision or other
10 factors.

11 All right, we will vote. Any other comments? All
12 those who would recommend putting a baseline ophthalmologic
13 evaluation prior to starting tamoxifen in the package
14 insert?

15 [No response]

16 All those who would vote yes?

17 [Show of hands]

18 All those who would vote no?

19 Ten. Abstain?

20 [One hand raised]

21 One.

22 Question eight, does the committee have any other
23 recommendations for monitoring the safety of women taking
24 tamoxifen for short-term breast cancer risk reduction?

25 I think we have made a lot of recommendations in

1 that respect, and I think seriously people are very
2 concerned that we are sort of opening Pandora's box here but
3 it may be a beneficial opening for several people, and
4 others have to be aware. So, I think we want a strong
5 recommendation for an educational program for both primary
6 care physicians as well as subjects.

7 Any other recommendations from the committee
8 members? Any specific testing you think should be required?
9 I guess part of that is that we would definitely like some
10 further teasing of the data. Yes?

11 DR. RAGHAVAN: One test that may just bear a
12 moment's discussion -- I don't want to prolong the agony --
13 was raised by one of the advocates, the issue of pregnancy
14 and tamoxifen. In general terms, I think once you are on
15 tamoxifen, if one is looking at level of risk, the chance of
16 becoming pregnant is relatively small. But the one issue
17 that might be worth considering is that before starting
18 tamoxifen in a woman of child-bearing years it may be
19 appropriate to consider a pregnancy test before that
20 medication is started. Certainly, if it were one of my
21 family I would feel more comfortable if that were done.

22 DR. DUTCHER: Any other suggestions?

23 Question nine, should FDA ask for a Phase 4
24 commitment to further study participants with thromboembolic
25 events for possible predisposing factors, such as Factor V

1 Leiden, as Dr. Schilsky mentioned?

2 DR. SCHILSKY: Sure.

3 DR. DUTCHER: Yes. How many officially yes?

4 [Show of hands]

5 You want to ask a question?

6 DR. MARGOLIN: It probably doesn't belong here,
7 but is it true what one of the patient advocates said, that
8 patients from the placebo group of P-1 are being routed into
9 the STAR trial so that we are going to lose the follow-up in
10 those patients? Because that sort of affects the answer to
11 this question about follow-up on a large captive group of
12 patients. It would be hard to get Factor V Leiden on a
13 bunch of patients off-study who were being followed, despite
14 Miss Cassel's fancy.

15 DR. WICKERHAM: We will, indeed, be allowing women
16 who choose, rather than going on tamoxifen off trial, the
17 opportunity of entering a follow-up prevention trial where
18 they would have the opportunity to receive either tamoxifen
19 or raloxifene depending on the randomization and, thus,
20 contribute to that trial as they have contributed to the P-1
21 study.

22 DR. SCHILSKY: Kim, just a point of information,
23 the CALGB is about to begin a case-control study looking at
24 frequency of Factor Leiden in women who clot and don't clot
25 on tamoxifen, and would be happy to look at samples from

1 women who participated in the breast cancer prevention trial
2 as well.

3 DR. WICKERHAM: Indeed, Dr. Schilsky, Dr. Garber
4 and her associates have already made that offer to us and we
5 have it under review, and plan to move forward with it as
6 soon as possible.

7 DR. ALBAIN: Could I ask a question just in
8 general about the further study and the participants? What
9 exactly is the follow-up that is funded so far? Is there a
10 chance for longer-term follow-up perhaps, given some of the
11 comments that we have made today? What is the current
12 follow-up planned?

13 DR. FORD: The current follow-up plan is to follow
14 the women in the trial for another 2 years at the level of
15 follow-up that they have had for the first 5 years, which
16 includes every 6-month visits and the rest. We had made a
17 commitment from the beginning to attempt to do lifetime
18 follow-up but for that, of course, you have to get into more
19 of a passive follow-up mode. We will be discussing that as
20 this trial winds down, the other one starts, and what
21 information we continue to get from that follow-up. But we
22 are committed to following these women for as long as it is
23 possible to follow them.

24 DR. DUTCHER: And they will be in the NSABP
25 database so there will be follow-up, telephone follow-up,

1 whatever.

2 Were there any no responses on question number
3 nine? All yes? Anyone abstaining?

4 Number ten, should FDA ask for a Phase 4
5 commitment to further study women on tamoxifen for non-
6 cataract ophthalmologic toxicity, which could be
7 incorporated into a subsequent trial?

8 Comments?

9 DR. SLEDGE: I don't have a good sense of this,
10 other than I thought I heard the data presented earlier
11 today to say that there wasn't an increased incidence.

12 DR. DUTCHER: What are you referring to in this
13 question?

14 DR. HONIG: I think the question was that in this
15 trial the follow-up specifically collected for cataract-
16 related events and also macular degeneration, but other eye
17 events were not collected, especially because the
18 participants weren't specifically followed for other eye
19 events.

20 DR. SLEDGE: I am sorry, macular degeneration was
21 followed?

22 DR. HONIG: Right. Incidence of macular
23 degeneration on study was collected.

24 DR. SLEDGE: It is hard to have an ophthalmologist
25 look at your macula without noticing some other things. So,

1 I guess the question is what other examinations are you
2 going to ask them to do?

3 DR. HONIG: Well, the question was that since it
4 wasn't required, participants filled out a form. So, you
5 were dependent on, you know, hopefully, that they reported
6 those visits but if they were simply told by their
7 ophthalmologist that everything was all right you could
8 potentially miss various events. The question is, you know,
9 do you think the trial is large enough, with the other
10 published data from B-14, that this is really not an issue
11 any more, or do you think there should be more information
12 systematically collected on other eye findings?

13 DR. DUTCHER: Dr. Margolin?

14 DR. MARGOLIN: Could you clarify the last part of
15 the question? Would a retrospective sub-study be just to
16 cull more information from the eye exams of those
17 participants who had them, because otherwise those patients
18 are crossing over or otherwise going on intervention.

19 DR. JUSTICE: Clearly crossover is a problem. You
20 know, I don't have a study design in mind.

21 DR. MARGOLIN: So, you are looking for just
22 getting more data onto the case report forms --

23 DR. JUSTICE: Right. Susan can correct me, but I
24 think the data we have in the database is primarily
25 cataracts and macular degeneration. We do not have the

1 actual data from ophthalmology exams. I assume that NSABP
2 has but we haven't clarified that yet, I don't believe, have
3 we, Susan?

4 DR. HONIG: No. We asked NSABP. There were
5 places on the form where participants could write in other
6 problems or other therapies. So, that was on the form but
7 it was our understanding that was not put in the database.
8 Is that correct?

9 DR. COSTANTINO: Actually, the nurses were asking
10 the participants and they were filling out the forms for
11 them, but that is correct. There are places where other
12 things are written in and, actually, the information was
13 coded according to diagnosis of ICADA codes and we didn't
14 see any differences in some of these other things. The
15 information was collected routinely on all participants and
16 we felt that the information we had was adequate to address
17 the question.

18 DR. JUSTICE: But just to clarify, we don't have
19 information on the actual eye exams.

20 DR. COSTANTINO: No, we do not. We did not
21 require documentation of physician reports. We did require
22 documentation of the surgeries but not of the actual eye
23 exams.

24 DR. DUTCHER: All those who would feel that
25 further ophthalmologic evaluation is necessary of the study

1 participants, please raise your hand.

2 [No response]

3 All those who would vote no?

4 [Show of hands]

5 Eleven, no.

6 I think the Phase 4 information that we want is
7 the long-term follow-up data, and the data in the various
8 subsets, and perhaps what happens to younger patients that
9 are taking tamoxifen, which wasn't really discussed. We
10 would like that information to be followed up.

11 DR. JUSTICE; I would just like to thank everyone
12 for dealing with this very difficult application.

13 DR. DUTCHER: Thank you for an excellent trial.
14 All right, we are going to have a very quick lunch. Can we
15 do it in half an hour -- 2:45.

16 [Whereupon, at 2:05 p.m., the proceedings were
17 recessed, to be resumed at 2:45 p.m.]

AFTERNOON PROCEEDINGS

Call to Order and Introductions

DR. DUTCHER: I appreciate everyone's patience; we have had a long morning. We are discussing Herceptin this afternoon so we have a large number of new people at the table so we are going to again introduce the members of the committee.

I am Dr. Janice Dutcher, from Albert Einstein Cancer Center, in New York, medical oncologist.

DR. O'LEARY: Timothy O'Leary, Armed Forces Institute of Pathology, and I am a pathologist.

DR. MARGOLIN: Kim Margolin, medical oncologist, City of Hope, Los Angeles, California.

DR. MILLER: Carole Miller, Johns Hopkins, consultant from the CBER advisory committee.

DR. SCHILSKY: Richard Schilsky, medical oncologist, University of Chicago.

DR. DOROSHOW: Jim Doroshow, medical oncologist, City of Hope, Los Angeles.

DR. TEMPLETON-SOMERS: Karen Somers, Executive Secretary to the ODAC, FDA.

DR. WEISS: Jim Weiss, from Johns Hopkins. I am a cardiologist and a consultant for the committee.

MS. ZOOK-FISCHLER: Sandra Fischler. I am a patient rep.

1 DR. VOSE: Julie Vose, from the University of
2 Nebraska and Chair of the FDA Biologics Committee.

3 DR. LIPSCHULTZ: I am Steve Lipschultz. I am a
4 cardiologist at the University of Rochester.

5 DR. STEIN: Katie Stein, Division of Monoclonal
6 Antibody, CBER, FDA.

7 DR. JERIAN: Susan Jerian, a clinical reviewer,
8 FDA.

9 DR. KEEGAN: Patricia Keegan, Division of Clinical
10 Trials, FDA.

11 DR. SIMON: Richard Simon, National Cancer
12 Institute.

13 DR. SEIGEL: Jay Seigel, Office of Therapeutics,
14 FDA.

15 DR. DUTCHER: We have a conflict of interest
16 statement to be read.

17 **Conflict of Interest**

18 DR. TEMPLETON-SOMERS: The following announcement
19 addresses the issue of conflict of interest with regard to
20 this meeting and is made a part of the record to preclude
21 even the appearance of such at this meeting.

22 Based on the submitted agenda for the meeting and
23 all financial interests reported by the participants, it has
24 been determined that all interests in firms regulated by the
25 Center for Drug Evaluation and Research which have been

1 reported by the participants present no potential for a
2 conflict of interest at this meeting, with the following
3 exceptions:

4 Dr. Robert Ozols, Dr. Kathy Albain and Dr. David
5 Johnson are excluded from participating in today's
6 discussions and vote concerning Herceptin. In addition, Dr.
7 Derek Raghavan, Sandra Zook-Fischler, Dr. Kim Margolin, Dr.
8 Victor Santana, Dr. James Doroshow and Dr. James Weiss have
9 been granted waivers which permit them to participate fully
10 in all matters concerning Herceptin.

11 A copy of these waiver statements may be obtained
12 by submitting a written request to the FDA's Freedom of
13 Information Office, Room 12A-30 at the Parklawn Building.

14 In addition, we would like to disclose for the
15 record that Dr. Derek Raghavan and Dr. Richard Schilsky have
16 interests which do not constitute a financial interest in
17 the particular matter within the meaning of 18 USC 208 but
18 which could create the appearance of a conflict. The agency
19 has determined, notwithstanding these interests, that the
20 interest of the government in Dr. Raghavan's and Dr.
21 Schilsky's participation outweighs the concern that the
22 integrity of the agency's programs and operations may be
23 questioned. Therefore, Dr. Raghavan and Dr. Schilsky may
24 participate fully in today's discussion and vote concerning
25 Herceptin.

1 In the event that the discussions involve any
2 other products or firms not already on the agenda for which
3 an FDA participant has a financial interest, the
4 participants are aware of the need to exclude themselves
5 from such involvement, and their exclusion will be noted for
6 the record.

7 With respect to all other participants, we ask in
8 the interest of fairness that they address any current or
9 previous involvement with any firm whose products they may
10 wish to comment upon. Thank you.

11 DR. DUTCHER: We would also like to note that Dr.
12 Trevor Powles is going to be joining us at the table as a
13 consultant for this particular topic.

14 As I mentioned this morning, we have extended the
15 open public hearing to include speakers before the
16 presentations and one speaker after the presentation by the
17 FDA so that we can give as many interested parties as have
18 requested to participate time to participate. We are going
19 to begin this afternoon's open public hearing. We are going
20 to be alternating letters with speakers, and I will let Dr.
21 Somers let you know who everybody is.

22 **Open Public Hearing**

23 DR. TEMPLETON-SOMERS: The first letter is from
24 Alice Hamele, from Farmington Hills, Michigan.

25 Because I cannot travel to Rockville to be present

1 at the September 2 meeting, I send these comments and ask
2 that they be read and included in the docket for the
3 meeting.

4 I have metastatic breast cancer and tested highest
5 positive for the HER2 abnormality. After carefully reading
6 the National Institutes of Health booklet on clinical
7 trials, and after carefully reading the Genentech informed
8 consent, I was randomized into the Genentech trial on
9 February 24, 1997 to receive the HER2 antibody as well as
10 the Adriamycin. Within four months a MUGA scan revealed
11 damage to my heart muscle, and heart dysfunction had been
12 noted symptomatically prior to the scan.

13 There was some small suggestion of heart risk in
14 the informed consent dated November 21, 1996, which I
15 signed. However, it was suggested that preexisting disease
16 might be the problem. Genentech continued to collect and
17 monitor data and, although these were very serious side
18 effects, and although there must have been increasing
19 indications that the antibody-Adriamycin combination was the
20 culprit, there was no further warning or suggestion of the
21 real problem as of February 24, 1997, when I was enrolled in
22 the trial. I was enrolled and consented on data that were
23 three months old. Genentech did get around to issuing a
24 stronger warning, as an addendum to the informed consent,
25 stating that heart dysfunction was common but, not until May

1 29, 1997, did NIH declarations state that trial participants
2 will receive ongoing information. It took six months for
3 Genentech to provide ongoing adverse information to
4 participants -- too late for me and, no doubt, for other
5 women.

6 Breast cancer patients like myself, who entered
7 without complete information, now have disabling heart
8 dysfunction as a cost. And, perhaps a greater cost is that
9 once "poisoned" by the Herceptin-Adriamycin combination, we
10 will never be able to use the antibody agent again to try to
11 extend our lifetimes. We have the worst of both worlds.

12 I ask that the advisory committee not give
13 approval for Herceptin until such time as Genentech
14 addresses, and agrees in writing, to deal with the costs of
15 all the breast cancer women who have suffered heart damage
16 because complete information was not made available to them
17 when they entered the trial. Thank you for consideration.
18 Sincerely yours, Alice Hamele, Farmington Hills, Michigan.

19 This and the other letters that have been received
20 from the public are available for you to view at the
21 registration desk. Thank you.

22 DR. DUTCHER: I will now ask Rosemary Locke to
23 please come to the podium. We would like to ask all
24 speakers to identify themselves and any sponsorship by the
25 sponsor or other organizations for their participation.

1 MS. LOCKE: Good afternoon. I am Rosemary Locke,
2 a volunteer for Y-Me National Breast Cancer Organization.
3 Thank you for this opportunity to make a statement.

4 Y-Me is most encouraged by the results from the
5 clinical trials using Herceptin. This is a drug that was
6 developed from the growing knowledge of how cells,
7 particularly breast cancer cells, function. While indicated
8 for only 25-30 percent of all breast cancer patients,
9 Herceptin is the first biological agent to show favorable
10 clinical results in slowing the progression of metastatic
11 breast cancer, but we are also cautious since more research
12 will be needed to answer questions of long-term
13 effectiveness. In addition, we believe further research
14 needs to be done on other indications for Herceptin.

15 Y-Me was involved with the National Breast Cancer
16 Coalition and Genentech in providing information about the
17 clinical trials to women with metastatic disease. Women
18 would call Y-Me's national hotline and ask specifically
19 about Herceptin and the clinical trials, or they would be
20 given information if their circumstance indicated that they
21 might be eligible for one of the trials. If a woman
22 expressed interest in the Herceptin study, we would refer
23 her to Genentech for eligibility criteria and site location.

24 We believe that the following quote from Dr.
25 Melody Copely, Director of the Rush Presbyterian St. Luke's

1 Medical Center, reflects the promise clinicians see in
2 Herceptin that it will make a difference in the lives of
3 women with metastatic breast cancer. She said: The
4 patients who went into this Herceptin trial were in a
5 hopeless situation. I have treated breast cancer patients
6 for nearly 20 years. By the time I treated my third patient
7 with Herceptin I knew that a breakthrough was going on. To
8 see some of these patients resurrect themselves from being
9 totally bedridden to being fully functional was amazing.
10 And, Herceptin didn't cause toxicity. There was no hair
11 loss; no nausea; no vomiting.

12 In the interest of women with metastatic breast
13 cancer, Y-Me urges the FDA to approve Genentech's
14 application for the drug Herceptin so that it can be made
15 available as rapidly as possible for use in the treatment of
16 metastatic disease.

17 Thank you. Are there any questions?

18 DR. DUTCHER: Thank you very much. Next we will
19 read another letter.

20 DR. TEMPLETON-SOMERS: This letter is from Elaine
21 Doubrava, from Houston Texas.

22 Next Monday, August 24, 1998, will mark my 95th
23 trip from my home in Houston, Texas to Birmingham, Alabama.
24 These trips started on September 30, 1996 when my name was
25 picked from the HER2 lottery to receive the drug on

1 compassionate waivers.

2 I am a 6-year plus breast cancer survivor. My
3 first metastasis was discovered in January, 1995 and I have
4 been in chemotherapy non-stop since then, approximately 43
5 months. My metastases have been in my liver, spine and
6 brain.

7 In September, 1996, my liver metastasis continued
8 to grow in spite of aggressive treatments. Knowing my
9 original tumor was HER2 positive, I called the Birmingham
10 location and asked to have my name put in the lottery for
11 the next drawing. I was very fortunate as my name was
12 selected on the first drawing. My first HER2 treatment was
13 October 7, 1996.

14 My first 12-week checkup was right before
15 Christmas, December 23, 1996. What a gift! My liver
16 lesions had shrunk approximately 73 percent. I was elated
17 and so very grateful to Genentech and Kirklin Clinic.

18 I realize a cure for my cancer is yet to be found,
19 but Herceptin has certainly afforded me two years of quality
20 time I know I would not have had otherwise. No side effects
21 from Herceptin whatsoever.

22 I have gone through about 8 different chemotherapy
23 treatments utilizing 14 different drugs. I have been
24 through high dose chemo. After total head radiation, I will
25 probably never have a full head of hair again, but that's

1 okay, I am alive and I attribute my being alive to
2 Herceptin.

3 I would like to urge the FDA to approve this drug
4 so that it may get to the many women in need of it as
5 quickly as possible.

6 I was informed of my first recurrence on my 49th
7 birthday. I never thought I would see age 50. Now, thanks
8 to Genentech and Herceptin I may see birthday number 53.
9 Elaine Doubrava, Houston, Texas.

10 DR. DUTCHER: Our next speaker is Miss Marilyn
11 McGregor.

12 MS. MCGREGOR: Thank you. My name is Marilyn
13 McGregor. I am the Administrative Director of the Cancer
14 Support Community located in San Francisco. I have no
15 financial interest in Genentech. The company did not pay
16 for my trip, nor did they read or edit my remarks. The
17 Cancer Support Community received \$3000 in 1996 for
18 community support, and a \$1000 donation as an honorarium for
19 our board members.

20 I want to say at the outset that I urge approval
21 of Herceptin and immediate marketing of the drug. It is a
22 great breakthrough and a great chance to extend life for
23 women with refractory cancer. However, women should not
24 have to wait until November for access to this important new
25 therapy. We have waited too long already.

1 Four years ago this December I, along with two
2 other breast cancer activists, Grace Buflavin and Linda
3 Reyes, under the sponsorship of the Breast Cancer Committee
4 of ACTUP, Golden Gate, held a demonstration of civil
5 disobedience at Genentech's South San Francisco
6 headquarters. Through allies such as ACTUP and Project
7 Inform and other AIDS advocacy groups, and over a long
8 series of meetings we were eventually able to negotiate
9 several major advances for women with breast cancer.

10 The first was a crossover protocol so that women
11 who showed disease progression were able to get Herceptin.
12 This is a common design in HIV AIDS trials but is not common
13 in breast cancer trials.

14 Another advance was Genentech's eventual agreement
15 to have an expanded access, compassionate access protocol
16 for those who did not meet the criteria for the various
17 trials. Although modest in number, 200 women over 2 years,
18 the first expanded access trial protocol was a pioneering
19 achievement and the first in the history of breast cancer
20 trials, and Genentech is to be commended for this pioneering
21 effort.

22 Of course, the National Cancer Institute has
23 always had a variety of compassionate access mechanisms but
24 comparatively few people know of them and utilize these
25 mechanisms. Yet, compassionate access, expanded access is

1 commonplace in HIV AIDS drug development.

2 We were pleased that Genentech and NCI finally
3 developed an open-label Herceptin trial for 500 women. But
4 this trial was slow to start up and slow to receive IRB
5 approval in the 40 sites. We had expected the start-up in
6 January-February, but people only began to become enrolled,
7 and the IRBs approved, in June and July. However, at this
8 point women still have to enter a lottery in the NCI-
9 Genentech's trial as there is reportedly a limited supply of
10 drug.

11 In our meetings with Genentech over the past nine
12 months, the supply issue was reportedly the reason for the
13 continued lottery. Of course, if a company does not a
14 schedule production run there will be insufficient drug. It
15 appears now that the supply of Herceptin is no longer
16 limited.

17 We have learned that additional Herceptin trials
18 are under way at Memorial Sloan Kettering, M.D. Anderson and
19 in Florida comparing responsive women who overexpress HER2
20 with women who do not overexpress the protein. Therefore,
21 it is time to end the lottery. All women in the applicant
22 pool should have drug made available to them now. I repeat
23 -- now.

24 The trial will accrue its full number of
25 applicants and many hundreds of women will have the

1 opportunity to possibly extend their lives. If this was an
2 AIDS drug that showed the kind of effectiveness that
3 Herceptin has shown, even with the cardiotoxicity, it would
4 have had really fast track approval. Six months is the
5 maximum time for FDA fast track approval. There is no
6 minimum amount of time.

7 The major labeling issue for cardiotoxicity is in
8 Herceptin. Considering that Herceptin does not have the
9 many other known toxicities of commonplace chemotherapies,
10 this major labeling issue could be resolved in brief focused
11 sessions so that the drug could be ready for marketing in
12 two weeks instead of two months.

13 I ask that all those concerned about the lottery
14 issue and immediate access contact the FDA or their
15 congressional representatives. The lottery women need
16 Herceptin now.

17 Thank you.

18 DR. DUTCHER: Thank you. We have one more letter.

19 DR. TEMPLETON-SOMERS: This letter is from Dr.
20 Philip Wyatt, who is Chief of the Department of Genetics at
21 the North York General Hospital in Ontario, Canada.

22 Thank you very much for allowing me to write a
23 letter to be entered into the record regarding the
24 consideration of Herceptin as a possible approved drug.

25 It would appear from the preliminary research

1 which is available, the use of the HER2 antibody Herceptin
2 may potentially provide great value in the treatment of
3 certain forms of breast cancer.

4 Ours is an institution that is involved in seeing
5 a number of women who do have early cases of breast cancer
6 and cases which are advanced and have failed all therapies.

7 We have been investigating the improved diagnostic
8 capacities of breast cancer and have, as many others, found
9 that the laboratory testing for HER2 overexpression is quite
10 reliable. We specifically use the Vysis-related probes by
11 fluorescent in situ hybridization and we are finding on a
12 double-blinded study that approximately 20 percent of
13 patients who present with breast cancer are overexpressors
14 of the HER2 gene.

15 It would appear that this is a situation where the
16 technology is advancing over the means by which promising
17 therapies may be introduced. As a result, I am writing the
18 FDA in support of a rapid evaluation and availability for
19 Herceptin.

20 The dilemma we personally find ourselves in is
21 that we now can accurately and reliably diagnose biological
22 activity which is different in some women who have breast
23 cancer, yet a potential therapy targeted specifically
24 against the biological activity is not available. It
25 creates the dilemma of perhaps not making the test even

1 available to women who request it or pointing out that, yes,
2 their test is positive but there are no available therapies
3 which are accepted.

4 I think I truly do appreciate the dilemmas that go
5 on in making sure that appropriate clinical trials are
6 addressed, drugs are appropriately brought to the worldwide
7 health care system in a responsible and well-thought out
8 fashion, and also the complex nature of global health care
9 industries.

10 One possible solution to deal with these new
11 category of targeted biologicals against gene activities and
12 the like would be a mandated linkage of the companies
13 providing diagnostic laboratory testing, either approved lab
14 testing services or biotech companies, and the
15 pharmaceutical companies producing the Herceptin. A pool of
16 resources could be created from the sale of Herceptin or the
17 lab test, in essence, an FDA tax, and the pool of resources
18 would be used specifically and solely for creating a
19 database and a large worldwide clinical trial investigating
20 the response of HER2 antibody Herceptin for those women who
21 are confirmed to be either HER2 negative or HER2 positive
22 through accredited lab services.

23 I appreciate the opportunity of at least
24 expressing some of the front-line concerns regarding the
25 changes which are going on in the treatment of breast cancer

1 and do look forward to receiving a copy of the deliberations
2 of your meetings. Sincerely, Philip Wyatt, M.D., Ph.D.,
3 Chief, Department of Genetics at North York General
4 Hospital.

5 DR. DUTCHER: While the sponsor is setting up the
6 slides, we have Dr. Julie Goldstein who is going to provide
7 an overview.

8 **Introduction of the Issues**

9 DR. GOLDSTEIN: Good afternoon.

10 [Slide]

11 I am Julia Goldstein, chair of the CBER committee
12 and product reviewer of the biological license application
13 for Herceptin. The Center for Biologics has been reviewing
14 the Herceptin license application submitted by Genentech
15 which is indicated for treatment of patients with metastatic
16 breast cancers whose tumors overexpress the HER2 receptor.
17 In parallel, the Center for Devices and Radiological Health
18 has been reviewing the immunohistochemistry kit, submitted
19 by DAKO Corporation that, should accompany this product.
20 The indication of the immunohistochemistry kit is to
21 determine patient eligibility for treatment. The
22 immunohistochemistry kit will be presented to an advisory
23 committee next Friday, September 4.

24 [Slide]

25 I would like first to acknowledge the members of

1 the CBER committee: Keith Weber, regulatory coordinator;
2 Susan Jerian, clinical reviewer, and you will hear from her
3 at a later time; Genevieve Schechter, clinical reviewer;
4 Teresa Neeman, statistical reviewer; Dave Green, pharm-tox
5 reviewer; Walter Lange and Lloyd Johnson, establishment
6 reviewers; Debra Bower, bioresearch monitoring coordinator;
7 and Kurt Stromberg, product consultant.

8 Breast cancer is one of the most common
9 malignancies in women. It accounts for a third of the
10 female cancers in the U.S.A. and remains a serious health
11 care problem. Thirty percent of the primary breast cancers
12 overexpress the HER2 receptor.

13 [Slide]

14 During my presentation I would like to briefly
15 describe the following four issues: First, the biology of
16 the HER2 receptor. The second is what is the
17 pathobiological significance associated with the HER2
18 overexpression. What is the clinical relevance associated
19 with HER2 overexpression, and finally, what is Herceptin and
20 how does it work.

21 [Slide]

22 HER2 belongs to the ErbB family. This family is
23 constituted by four receptors. All of them share extensive
24 sequence homology, which suggests similar mechanisms of
25 activation and signaling.

1 On the right-hand side of the slide are some of
2 the ligands known to bind to each one of these receptors. I
3 want to point out that no ligand has yet been characterized
4 that binds the HER2 receptor.

5 The current view is that HER2 is the preferred
6 dimer partner for the other three members and functions as a
7 co-receptor, amplifying the signals transduced by the other
8 three.

9 [Slide]

10 HER2 is a membrane glycoprotein of 185 kilo
11 daltons. It consists of an extracellular domain, rich in
12 cysteine -- presented in pink, and this will be so
13 throughout the presentation -- a single transmembrane domain
14 and an intracellular domain with tyrosine kinase activity.

15 HER2 expression has been extensively studied in
16 adult and fetal tissues. Its expression has been shown on
17 epithelial cells derived from three germ layers, in
18 particular, the gastrointestinal, respiratory, urogenic and
19 skin, breast and placenta. It has also been shown to be
20 expressed in neurons, Schwann cells and glia and muscle
21 cells.

22 The study collaborators have shown that HER2 plays
23 a crucial role in cardiac and central nervous system
24 embryonic development. The mice that carry the null allele
25 die at embryonic age of 11 days due to a dysfunction

1 associated with a lack of cardiac trabeculation. These mice
2 also had altered development of the neural crest-derived
3 sensory ganglia and motor nerves. These results indicated
4 that HER2 plays a role in mesenchymal-epithelial
5 communications.

6 [Slide]

7 What is the physiological role of HER2? HER2
8 participates in an interactive network of receptor-receptor
9 interactions with a high degree of pathway
10 intercommunications. These interactions regulate cell fate,
11 growth and proliferation.

12 HER2 acts in a cooperative manner with other ErbB
13 proteins as a shared, low affinity co-receptor for multiple
14 stroma-derived growth factors. Upon ligand binding to each
15 one of these receptors -- and I want to emphasize here,
16 again, that HER2 is in pink -- the tyrosine kinase
17 phosphorylates. The complex of ligand-receptor now
18 heterodimerizes with HER2 which transphosphorylates. The
19 tyrosine kinase now becomes docking sites for multiple
20 substrate and docking proteins, and these culminate in MAP
21 kinase activation and, finally, in the regulation of
22 proliferation, cell survival or differentiation. In other
23 words, this oncoprotein acts as a shared signaling subunit
24 of primary growth factor receptors, prolonging and enhancing
25 signal transduction specifically through MAP kinase.

1 [Slide]

2 What is the pathobiological significance
3 associated with HER2 overexpression?

4 [Slide]

5 In vitro studies have shown that HER2
6 overexpression is an important component of neoplastic
7 transformation. Tumors that overexpress HER2 lead to
8 constitutive activation of the receptor, and this translates
9 into an increased proliferation rate and increased
10 resistance to TNF-alpha, decreased expression of adhesion
11 molecules, in particular E cadherines and alpha-2 integrins,
12 which have been demonstrated to be associated with
13 metastasis progression and development, and increased
14 vascular endothelial growth factor secretion which supports
15 new vascular formation.

16 [Slide]

17 What is the clinical relevance associated with
18 HER2 overexpression?

19 [Slide]

20 Retrospective analyses of clinical data have
21 demonstrated that HER2 overexpression is a negative
22 prognostic indicator. Patients whose tumors overexpress
23 HER2 have shorter disease-free interval and a shorter
24 overall survival. HER2 has been seen as predictive of
25 aggressive disease, regardless of disease stage or node

1 status. These tumors are more invasive. They have a higher
2 incidence of metastasis, and they are more resistant to
3 chemotherapy.

4 [Slide]

5 Finally, what is Herceptin and how does it work?

6 [Slide]

7 Herceptin is a recombinant humanized murine
8 monoclonal antibody in which the complement-determining
9 regions, derived from the 4D5 antibody, have been grafted
10 into the human backbone of IgG1. It contains 6 percent of
11 murine residues, and it binds with high affinity to the
12 extracellular domain of the HER2 receptor. Herceptin is
13 produced at large scale in CHO cells and is purified by
14 standard chromatographic procedures.

15 [Slide]

16 In vitro studies have demonstrated that Herceptin
17 exerts its effect mainly by two arms. This slide shows the
18 biochemical effects and the next slide will show the
19 immunological arm of the response.

20 The biochemical effects are pictured inside the
21 circle, and are due to the antibody binding to the HER2
22 receptor. In vitro studies demonstrated that Herceptin
23 mediates receptor down-modulation, and also
24 heterodimerization blockade. Both of them lead to signal
25 transduction blockade. In addition, Herceptin has a

1 cytostatic effect. In particular, it up-regulates CDK2
2 kinase, and also sensitized breast tumor cells to TNF alpha.

3 [Slide]

4 Immunological response is due to Fc binding to the
5 Fc receptor gamma-3 of CD16. In vitro studies have shown
6 that Herceptin mediates antibody dependence and
7 cytotoxicity, and it is postulated that the in vivo effect
8 would be the recruitment of CD16 bearing cells to the site
9 of the tumor. Other in vitro assays and animal models have
10 demonstrated enhancement of chemotherapy-induced
11 cytotoxicity. In particular, Herceptin synergizes with
12 cisplatin and has an additive effect when administered in
13 combination with doxorubicin, paclitaxel, methotrexate and
14 vinblastine.

15 [Slide]

16 In summary, HER2 is expressed at low levels. It
17 functions by forming heterodimers with the other ErbB
18 proteins and, therefore, is involved in signal transduction.
19 Overexpression leads to constitutive activation of the
20 receptor. Analysis of clinical data has been associated
21 with poor prognosis.

22 Herceptin regulates down-modulation of the HER2
23 receptor. It inhibits dimer formation. It has a cytostatic
24 effect, and is able to mediate antibody dependence and
25 cytotoxicity.

1 This concludes my presentation.

2 DR. DUTCHER: Thank you very much. We have now
3 had an overview of the biology and we will now proceed to
4 the sponsor's presentation.

5 **Sponsor Presentation**

6 **Introduction and Regulatory History**

7 [Slide]

8 MR. TRASS: Welcome to the afternoon session of
9 the Oncology Drugs Advisory Committee meeting.

10 [Slide]

11 For the next hour, Genentech will present the
12 results of the clinical program for Herceptin, trastuzumab,
13 indicated for the treatment of patients with metastatic
14 breast cancer who have tumors that overexpress HER2.

15 [Slide]

16 My name is Karl Trass, and I will provide a brief
17 regulatory history of the molecule. Dr. Steve Shak will
18 take us through the scientific rationale and clinical
19 efficacy, and Dr. Virginia Paton will provide a
20 comprehensive safety analysis. Finally, Dr. Shak will
21 return to discuss the benefits and the risks of Herceptin
22 treatment.

23 [Slide]

24 The human epidermal growth factor receptor 2 gene
25 was cloned in 1985, and Genentech has been committed to the

1 molecule and to the HER2 program since that time. Based on
2 the murine monoclonal antibody 4D5, we developed a
3 recombinant humanized monoclonal antibody, and initiated
4 Phase 1 clinical trials in 1992, and followed with Phase 2
5 the next year. Based on these encouraging results in which
6 we demonstrated activity and safety, we met with the agency
7 to discuss the clinical program and the manufacturing plans
8 for Herceptin. At that time, we obtained agreement on the
9 Phase 3 protocols and initiated the Phase 3 program the
10 following year. They were only completed in 1997, and in
11 1998, in March of this year, Herceptin was designated a
12 fast-track biologic. At the same time, we began a BLA
13 submission with the agency and completed the application on
14 May 1 of this year.

15 [Slide]

16 Genentech is seeking approval based on two pivotal
17 studies. The first study, Herceptin in combination with
18 chemotherapy in first-line metastatic disease, enrolled 469
19 women. This trial was originally designed as a placebo-
20 controlled trial.

21 Accrual to the protocol was slow, and we amended
22 the protocol to allow women who had received prior
23 anthracyclines in the adjuvant setting to enroll and receive
24 paclitaxel as a therapeutic option.

25 Early in 1996, accrual was still slow. We began

1 discussions with the agency to amend the protocol to an
2 open-label, randomized, controlled study. However, the
3 primary endpoint of time to disease progression did not
4 change.

5 Amendment 2, discontinue for placebo, broaden the
6 eligibility requirements, and simplify study procedure to
7 include the discontinuation of cardiac monitoring. This
8 amendment did facilitate enrollment. Early in 1997, we
9 received 4 unexpected cases of cardiac dysfunction. At that
10 time, we alerted investigators, agencies worldwide and, most
11 importantly, the patients of these unexpected events. The
12 third and final amendment reinstated noninvasive cardiac
13 monitoring.

14 [Slide]

15 The second pivotal study, Herceptin as a single
16 agent in relapsed metastatic disease, enrolled 222 women.
17 This protocol was amended twice. First at the suggestion of
18 the FDA, we moved a co-primary endpoint of time to disease
19 progression to a secondary endpoint, but the primary
20 endpoint of response rate did not change.

21 The second amendment allowed women with one prior
22 chemotherapy regimen to enroll, and also broadened the
23 therapeutic options if patients progressed while on study.

24 [Slide]

25 That was a very brief regulatory history. For the

1 rest of the afternoon, Genentech scientists and advisors
2 will be here to answer any questions you may have. At this
3 time, I will turn it over to Dr. Shak and he will take us
4 through the scientific rationale and the clinical efficacy.

5 **Scientific Rationale and Clinical Efficacy**

6 DR. SHAK: Hello, good afternoon.

7 [Slide]

8 My name is Steven Shak, and I appreciate the
9 opportunity today to present the results of the Herceptin
10 studies.

11 [Slide]

12 In the last decade, a number of exciting and
13 important breakthroughs have occurred with regard to an
14 increased understanding of the molecular mechanisms that
15 cause cancer. Specific defined DNA alterations, some
16 inherited and some acquired, have been elucidated. In
17 addition, we have defined precise molecular mechanisms by
18 which the growth of cells is regulated. The Herceptin
19 program arose out of the discovery of a specific genetic
20 alteration in breast cancer.

21 [Slide]

22 In 25-30 percent of women with breast cancer there
23 is amplification of the HER2 oncogene which is associated
24 with overexpression of the HER2 protein, here shown by
25 immunohistochemistry. Most importantly, it was shown that

1 amplification and overexpression leads to poor prognosis and
2 shortened survival. This is not just a marker of bad
3 prognosis but, in fact, there is clear evidence that
4 suggests that HER2 amplification overexpression is causally
5 related to the cancer progression.

6 For example, studies have been performed where the
7 rodent homolog of HER2 is introduced into a mouse, creating
8 a transgenic mouse and, as shown here, the HER2 transgenic
9 females developed breast tumors at a high incidence. It
10 was, therefore, on the basis of this data that HER2 was
11 specifically targeted.

12 [Slide]

13 Herceptin is a humanized anti-HER2 monoclonal
14 antibody, highly specific and binding with high affinity to
15 breast cancer cells that overexpress HER2. Genetic
16 engineering created a molecule, as shown here in grey, which
17 is 95 percent human. Murine residues are shown in yellow.
18 It was intended by the humanization to decrease the
19 potential for immunogenicity and to increase the potential
20 for increasing the recruitment of immune effector
21 mechanisms.

22 [Slide]

23 Since Dr. Goldstein did such a very nice job, I
24 will briefly summarize the preclinical data. With regard to
25 efficacy, Herceptin is active in cell culture. Most

1 importantly, it directly inhibits HER2 overexpressing breast
2 cancer cells at a concentration of 1-10 mcg/ml.

3 [Slide]

4 As shown on this slide, in experiments performed
5 in the murine xenograft model Herceptin inhibits tumor
6 growth in a dose-dependent fashion, as shown here at 3, 10,
7 30 and 100 mg/kg doses compared to no effect of the control
8 immunoglobulin. In these studies, serum assays identified
9 that the target trough serum concentration for activity was
10 10-20 mcg/ml, concentrations that were readily achieved by
11 the human clinical dose.

12 [Slide]

13 Finally, studies were performed with Herceptin in
14 the murine xenograft model to evaluate its activity in
15 combination with chemotherapy. Here are doxorubicin and
16 paclitaxel. With both agents it was shown that the
17 combination of Herceptin plus chemotherapy, shown in blue,
18 had the greatest activity, more activity than the antibody
19 alone or chemotherapy. It was on the basis of these studies
20 that the pivotal clinical trials were designed.

21 [Slide]

22 Finally, with regard to safety, an extensive
23 series of studies was performed. Studies were performed in
24 animals, examining Herceptin doses at a concentration up to
25 12.5 times the human clinical dose. It was well tolerated

1 at all doses. There was no effect on heart rate or ECG. No
2 anaphylaxis was observed. And, as expected, clearance from
3 the serum was slow, with a half-life of 5-10 days. Tissue
4 binding studies showed that Herceptin recognizes epithelial
5 cells from a variety of tissues but no detectable binding
6 was shown with cardiac or neural tissues.

7 [Slide]

8 In summary, the preclinical studies demonstrated
9 activity and an excellent preclinical safety profile.

10 [Slide]

11 I would now like to turn to the clinical program
12 and then to summarize the results with regard to clinical
13 efficacy.

14 A series of 10 clinical trials were performed with
15 Herceptin, 5 Phase 1 and Phase 2 studies were performed with
16 Herceptin as a single agent and in combination with
17 chemotherapy which identified that Herceptin was active,
18 which defined that it was well tolerated, and which
19 identified the dose and schedule that was used in the
20 pivotal clinical trials.

21 The pivotal clinical trials are, first, the
22 comparative study of Herceptin plus chemotherapy versus
23 chemotherapy alone, a randomized, controlled study in women
24 with no prior chemotherapy for metastatic disease. This
25 study, H0648g, enrolled 469 women.

1 The second study, a study of single-agent
2 Herceptin in more advanced disease, enrolled patients who
3 had relapsed following 1 or 2 prior regimens of chemotherapy
4 for metastatic disease. This study, H0649g, enrolled 222
5 women.

6 There are 3 other ongoing studies, first, an open-
7 label extension study for women with disease progression in
8 a comparative trial. Second, a single-agent study in women
9 with no prior chemotherapy for metastatic disease. As
10 described previously, we have had an expanded access program
11 since the beginning of 1996.

12 At this time, I would very much like to
13 acknowledge a number of key contributors: First, the
14 investigators and their staff that participated and
15 performed these trials; second, the breast cancer patient
16 advocates that advised us, that served on our steering
17 committee and that served on the data safety monitoring
18 committee; and finally, and most importantly, the patients
19 and women who volunteered for this clinical trial. In
20 addition, we have had extensive and useful advice from the
21 FDA, both the Division of Biologics as well as the Office of
22 Women's Health and the Cancer Liaison.

23 [Slide]

24 There are two features of the pivotal trials which
25 I would like to discuss specifically because they were key

1 and important to the conduct of the study. First, as Karl
2 mentioned in the introduction, the comparative trial was
3 amended to remove the placebo.

4 To maintain and have the highest rigor and
5 objectivity with regard to assessment of the primary and
6 secondary disease progression and tumor response endpoints
7 in this study, we established an independent response
8 evaluation committee which reviewed efficacy on an ongoing
9 basis during the course of the clinical trial. Reading
10 teams were composed of radiologists and oncologists. Only
11 objective tumor data -- films, photographs and physical exam
12 measurements -- were reviewed, and the response evaluation
13 committee remained blinded. They had no knowledge as to
14 whether the patient was on the comparative trial or on the
15 single-agent study, and in all cases they remained blinded
16 to treatment assignment.

17 Finally, disease progression determined by the
18 response evaluation committee was required in order to get
19 entry into the open-label extension so that no patients on
20 the control arm could get access to Herceptin without
21 documented disease.

22 [Slide]

23 The second key feature of this study that I would
24 like to refer to relates to HER2 testing. At the time of
25 the initiation of the pivotal studies there was no approved

1 diagnostic for measuring levels of HER2 overexpression. To
2 provide rigor and standardization, therefore, we established
3 a central core laboratory which used a standardized
4 immunohistochemistry assay. And, 2+ or 3+ overexpression
5 was required for study entry.

6 As described by Dr. Goldstein, subsequently we
7 have collaborated with a diagnostics company to develop a
8 commercial immunohistochemistry kit which was studied for
9 its concordance with the clinical trial assay. This kit
10 will be reviewed on Friday, at a diagnostics advisory
11 committee meeting.

12 [Slide]

13 With regard to the single-agent study, H0649g,
14 this was a single-arm, open-label study. Women were treated
15 with Herceptin, with a 4 mg/kg loading dose and then 2 mg/kg
16 IV weekly. Efficacy was assessed at regularly scheduled
17 intervals and, as I mentioned previously, tumor response was
18 determined by the response evaluation committee.

19 [Slide]

20 Shown here are the demographics of the women
21 enrolled in this clinical trial. As might be expected for
22 patients, all of whom had overexpression of HER2, there is
23 evidence for aggressive disease and extensive prior
24 treatment. More than half the patients, 55 percent, were ER
25 negative. A third of patients, 36 percent, had disease at 3

1 or more metastatic sites, and 70 percent had disease in the
2 liver or lung.

3 [Slide]

4 As required per protocol, all patients had at
5 least 1 prior chemotherapy regimen for metastatic disease,
6 and 32 percent had 1, and 68 percent had 2 prior regimens;
7 68 percent had prior adjuvant chemotherapy and 26 percent
8 had prior transplant; 94 percent had been treated with
9 anthracyclines and 67 percent had been treated with taxanes
10 previously.

11 [Slide]

12 The prospectively defined endpoints of this
13 clinical trial are listed here. The endpoints were assessed
14 and the data will be presented today by an intent-to-treat
15 approach. The primary endpoint of this study was overall
16 response rate as determined by the REC. The secondary
17 endpoints included duration of response, time to
18 progression, survival and quality of life.

19 [Slide]

20 Shown here are the results for the primary
21 prospectively defined endpoint of the study. The overall
22 response rate as determined by the REC was 15 percent.
23 There were 8 complete responses and 26 partial responses.

24 [Slide]

25 The duration of response is plotted here from

1 months or time from the initial response. It is notable
2 that in the responders the median duration of response was
3 9.1 months.

4 [Slide]

5 Time to progression was assessed, as shown on this
6 slide. The median time to progression was 3 months and 22
7 percent of patients were free of progression at 6 months.

8 [Slide]

9 Finally, shown here is survival from time of first
10 treatment. The median survival in this patient population
11 was 13 months.

12 [Slide]

13 In examining the efficacy in this study, we
14 assessed subgroups in order to examine the consistency of
15 clinical benefit. The confidence intervals for all
16 subgroups examined overlapped the overall response rate of
17 15 percent.

18 [Slide]

19 In addition to the results of this clinical trial,
20 H0649g, that we have just reviewed, we also have data from 2
21 other single-agent studies. The Phase 2 study, H0551g,
22 showed a response rate of 11 percent. In a preliminary
23 analysis of the results of the single-agent study in women
24 with no prior chemotherapy for metastatic disease the
25 response rate is 24 percent.

1 [Slide]

2 In summary, therefore, Herceptin as a single agent
3 is active and induces objective, durable tumor responses.
4 There is consistent evidence of tumor response in subgroups.

5 [Slide]

6 We will now turn to the comparative trial. This
7 study enrolled 469 women. Women were eligible if they had
8 metastatic breast cancer, HER2 overexpression, no prior
9 chemotherapy for metastatic disease, and all women had to
10 have measurable disease.

11 A key feature of this study is shown on this
12 slide. Patients were stratified to chemotherapy based on
13 their history of chemotherapy in the adjuvant setting.
14 Women with no prior anthracyclines in the adjuvant setting
15 were randomized to Herceptin plus anthracycline
16 cyclophosphamide, or AC, or AC alone. Women who had prior
17 anthracycline in the adjuvant setting were randomized to
18 Herceptin plus paclitaxel or paclitaxel alone. We might
19 expect, and in fact did see, that the AC stratum was a
20 population different from the paclitaxel stratum.

21 [Slide]

22 Treatment in this study was protocol specified.
23 Herceptin was administered at the same dose and schedule
24 used in the previous study. Chemotherapy was also protocol
25 specified. AC or doxorubicin or epirubicin plus

1 cyclophosphamide was administered at a standard dose and
2 schedule. Paclitaxel was also administered at a standard
3 dose and schedule. To provide data relevant to the real-
4 world of oncology practice, chemotherapy could be continued
5 for more than 6 cycles at the discretion of the
6 investigator.

7 [Slide]

8 We will now examine the demographics of the
9 patients enrolled in this clinical trial. The data is shown
10 on the next 2 slides, and I am going to go through it slowly
11 and focus on 3 major points. First, the population as a
12 whole; second, the balance within chemotherapy stratum; and,
13 third, the balance between chemotherapy stratum.

14 With regard to the patients enrolled in this
15 study, as was the case with the single-agent study, in women
16 who were all HER2 positive we saw evidence of aggressive
17 disease. A third of the women had a Karnofsky performance
18 status of 80 percent or less. Again, a third had metastatic
19 disease at 3 or more sites. Half were ER negative and a
20 high percentage of the women at primary diagnosis had 4 or
21 more positive lymph nodes.

22 With regard to balance within chemotherapy strata,
23 randomization was successful. In other words, the
24 population of patients in the Herceptin plus AC stratum was
25 comparable to that in the AC. The group of patients in the

1 Herceptin plus paclitaxel arm were, again, similar to those
2 in the paclitaxel treatment arm. The only imbalance on this
3 slide that achieves statistical significance is noted with
4 the asterisk here. There was a higher percentage of women
5 with a lower performance status in the paclitaxel alone
6 group, an imbalance in favor of Herceptin.

7 [Slide]

8 On this slide is shown prior treatment in the
9 patients enrolled in this study. There was, again, only one
10 imbalance within chemotherapy strata, shown here. In this
11 case, more patients in the Herceptin plus AC stratum
12 received prior adjuvant chemotherapy, 57 percent versus 37
13 percent, in this case an imbalance in favor of the control
14 group.

15 Finally, with regard to the demographics, we do,
16 in fact, see that the paclitaxel patients are different than
17 the AC patients. They had more adjuvant chemotherapy and
18 they had a higher percentage of prior transplants. With
19 regard to these imbalances, we incorporated a correction for
20 these imbalances in the statistical analyses that were
21 performed with regard to efficacy.

22 [Slide]

23 The endpoints of this study are shown here. The
24 primary endpoint is time to disease progression as
25 determined by the response evaluation committee. The

1 secondary endpoints included overall response rate, duration
2 of response, time to treatment failure, 1-year survival and
3 quality of life.

4 [Slide]

5 This is a Kaplan-Meier plot showing the results of
6 the primary, prospectively defined endpoint of time to
7 disease progression. The percentage of patients free of
8 disease progression or death is plotted as a time from
9 randomization. Shown in yellow are the results for the
10 treatment group of Herceptin plus chemotherapy. Shown in
11 green are the results with chemotherapy alone. Herceptin
12 significantly increases the time to disease progression.
13 The median time to disease progression with chemotherapy
14 alone was 4.6 months versus 7.6 months with Herceptin plus
15 chemotherapy.

16 As can be seen, at 12 months a greater percentage
17 of women are free of progression when treated with Herceptin
18 plus chemotherapy, 28 percent versus 9 percent with
19 treatment with chemotherapy alone. The overall difference
20 with regard to time to disease progression was statistically
21 significantly different, with a p value of 0.0001.

22 [Slide]

23 The results of the analysis for time to disease
24 progression broken out by chemotherapy strata are shown on
25 this slide. For the AC strata we observed a significant

1 increase in time to disease progression. A median of 6.1
2 months increased to 8.1 months with Herceptin plus AC. The
3 paclitaxel strata showed a median time to progression of 3
4 months with paclitaxel alone versus 6.9 months with
5 Herceptin plus paclitaxel. As you can see, the magnitude of
6 the treatment effect is greater with paclitaxel.

7 These results were done with data that was
8 submitted in our BLA. As noted in the FDA briefing book, we
9 have since, at their suggestion, performed 68 additional
10 reviews of patients in this clinical trial. That additional
11 information shows high concordance, actually, between the
12 investigator and the REC. You have been handed a summary
13 that outlines the updated data analysis for both time to
14 progression as well as the other efficacy endpoints. Those
15 results are consistent with the data which is being
16 presented here.

17 [Slide]

18 The overall response rate was also significantly
19 increased by Herceptin. The overall response rate was 32
20 percent with chemotherapy alone and 49 percent with
21 Herceptin plus chemotherapy.

22 [Slide]

23 We saw also increases in overall response rate
24 with AC and with paclitaxel. With AC alone, 43 percent;
25 Herceptin plus AC, 52 percent; with paclitaxel alone, 16

1 percent; and with Herceptin plus paclitaxel, 42 percent.

2 [Slide]

3 We also examined the duration of response. The
4 median duration of response was 6.5 months with AC alone
5 compared to 9.1 months with Herceptin plus AC. The median
6 duration of response was 4.4 months versus 11 months with
7 Herceptin plus paclitaxel. Thus, not only did Herceptin
8 increase the percentage of women who had a tumor response,
9 but in those women who had a response it significantly
10 increased the duration of response.

11 [Slide]

12 Time to treatment failure was prespecified and
13 defined as time to disease progression, death,
14 discontinuation of study or discontinuation of Herceptin for
15 any reason, or the initiation of new anti-tumor therapy.
16 Herceptin significantly increased the time to treatment
17 failure when used both in combination with AC and in
18 combination with paclitaxel.

19 [Slide]

20 Quality of life in this study was assessed using a
21 validated EORTC questionnaire. Overall, there was no
22 significant difference between groups.

23 [Slide]

24 However, trends for maintained quality of life as
25 shown on this slide were seen in patients treated with

1 Herceptin plus chemotherapy. Shown here is the quality of
2 life domain plotted as change from baseline at week 8, week
3 20 and week 32. At week 8, during chemotherapy in both
4 groups there is a decline in quality of life. At week 20
5 and at week 32, there is a trend for maintained quality of
6 life with Herceptin plus chemotherapy compared to a
7 persistent decrease with chemotherapy alone.

8 [Slide]

9 Finally, 1-year survival was an important
10 prespecified secondary endpoint. Survival data, as of March
11 1998, is available in 99 percent or more of the patients.
12 The survival in the chemotherapy alone group at 1 year was
13 67 percent and was increased with Herceptin treatment to 78
14 percent, an increase which was statistically significant
15 with a p of 0.008.

16 [Slide]

17 In addition, we examined the Kaplan-Meier curve of
18 overall survival for the data available as of March, 1998.
19 The Kaplan-Meier curve, shown here, probability alive
20 plotted as time from randomization in months shows, in
21 yellow, with Herceptin plus chemotherapy the early survival
22 advantage. A difference in survival is observed as early as
23 6 months after randomization. We are cautious in
24 interpreting this part of the Kaplan-Meier curve at this
25 time.

1 [Slide]

2 On this slide is shown the percentage of patients
3 with follow-up at each point in time following
4 randomization. We have a lot of data with regard to the
5 early time points of follow-up. As much as 81 percent of
6 patients have reached a survival follow-up time of 15
7 months, but only about 40 percent have reached a survival
8 follow-up time of 25-30 months. We clearly look forward to
9 updating the survival data with continued follow-up in order
10 to better define survival in this region.

11 [Slide]

12 In addition to the immaturity of the data at this
13 point in time, we also need to note the crossover that was
14 allowed per protocol. With REC documented disease
15 progression, women could get Herceptin in the open-label
16 extension study.

17 As you can see, even at some of the earlier time
18 points, at 10 months for example, 25 percent of the patients
19 in the chemotherapy alone group entered the open-label
20 extension study and were receiving Herceptin. At later time
21 points almost 60 percent of the control arm patients had
22 received Herceptin. This crossover, therefore, confounds
23 our ability to assess overall survival, and makes this early
24 difference, I think, even more notable.

25 [Slide]

1 With regard to survival, we also examined survival
2 at 1 year in both the AC stratum and in the paclitaxel
3 stratum. With AC alone, survival at 1 year was 72 percent
4 and increased to 83 percent with the addition of Herceptin.
5 With paclitaxel alone, the survival at 1 year was 60 percent
6 and increased to 72 percent with the addition of Herceptin.

7 [Slide]

8 Finally, as we did in the single-arm study, we
9 also performed subgroup analysis in order to assess the
10 overall benefit. I will take you through the subgroup
11 analysis that we performed in the next 3 slides. Overall,
12 as you will see, consistency was demonstrated. However,
13 testing did indicate a significant interaction between
14 treatment group and the level of HER2 overexpression.

15 [Slide]

16 Let me take you through this slide slowly,
17 focusing first on this part of the slide. Plotted here for
18 the primary endpoint of time to disease progression is the
19 relative risk of disease progression where the solid white
20 line at 1.0 would indicate equivalent risk of disease
21 progression between the Herceptin plus chemotherapy group
22 and the chemotherapy alone group. A risk reduction of less
23 than 1, as shown here for the overall population, would
24 indicate that the combination of Herceptin plus chemotherapy
25 is better. A risk ratio of greater than 1 would indicate

1 that the combination of Herceptin plus chemotherapy is
2 worse.

3 Shown here for the overall population and then for
4 these patient subgroups that were examined was the point
5 estimate of the risk ratio of time to disease progression,
6 with the lines indicating the 95 percent confidence
7 intervals. Finally, the size of the squares is proportional
8 to number of patients in the subgroup.

9 The data here indicate that with regard to the
10 subgroups of age, race, Karnofsky score, disease-free
11 interval and number of metastatic sites at study entry, we
12 see that the point estimates for the reduction in the risk
13 of disease progression indicate that Herceptin plus
14 chemotherapy is better. In all cases, the confidence
15 intervals overlap the point estimate of the overall result.

16 [Slide]

17 On this slide are shown additional subgroups. We
18 noted that testing indicated an interaction with the level
19 of HER2 overexpression. This interaction can be seen right
20 here. With HER2 overexpression at the 2+ level the risk of
21 disease progression in patients treated with Herceptin plus
22 chemotherapy is a risk ratio of 0.8 compared to 0.4 for
23 those enrolled with 3+ overexpression. As you can see,
24 fewer patients, as indicated by the size of the square, had
25 a 2+ level of overexpression, and the confidence intervals

1 are broader. Note, however, although there is a lesser
2 magnitude of benefit, these results do not indicate a lack
3 of benefit or that these patients did worse.

4 [Slide]

5 Finally, with regard to the last group of
6 subgroups, we again see a consistent evidence of treatment
7 benefit with regard to time to disease progression for all
8 these subgroups that were examined. In no case did the
9 results indicate that Herceptin plus chemotherapy was worse.

10 [Slide]

11 In summary then with regard to the efficacy in
12 this randomized, controlled trial, the addition of Herceptin
13 to chemotherapy significantly increases the clinical
14 benefit. Time to disease progression is increased.
15 Response rate and duration is increased. Time to treatment
16 failure is increased, and survival at 1 year is increased.

17 [Slide]

18 We will now turn to a discussion of clinical
19 safety by Dr. Paton.

20 **Clinical Safety**

21 DR. PATON: Thank you, Dr. Shak. Good afternoon.

22 [Slide]

23 The safety of Herceptin will be described in two
24 settings this afternoon, first as a single agent using data
25 from the pivotal H0649g study and then, secondly, in

1 combination with chemotherapy using data for the pivotal
2 H0648g study.

3 As Karl alluded to in his introduction, we
4 identified a cardiac safety concern, and I will close my
5 discussion of the safety of Herceptin this afternoon with a
6 detailed analysis of patients who experienced cardiac
7 adverse events.

8 [Slide]

9 In our safety analysis of Herceptin, all patients
10 who received treatment on study were evaluable for safety.
11 Safety was assessed in patients who received Herceptin plus
12 chemotherapy or Herceptin alone on a weekly basis. Patients
13 who received chemotherapy alone in the pivotal comparative
14 study were evaluated every 3 weeks during the period of time
15 of therapy administration and then every 2 weeks once
16 chemotherapy was stopped. Patients were evaluated for
17 safety until the documentation of disease progression. As
18 Dr. Shak provided you with those details, patients who
19 received Herceptin remained on study for a longer period of
20 time. Therefore, patients who received Herceptin were
21 evaluated more frequently and for a longer duration compared
22 to the patients who received chemotherapy alone.

23 [Slide]

24 Safety was assessed using a 3-scale system, mild,
25 moderate and severe. Mild adverse events were those events

1 arm are coded with "H" and are always the first bar in each
2 graph.

3 Again, globally you can see that many of these
4 adverse events were mild to moderate in severity, and severe
5 events were infrequent. We did observe infusional-related
6 symptoms of chills and fever, headache, and pain with the
7 first dose of Herceptin. We also observed cardiovascular
8 adverse events of congestive heart failure accompanied by
9 cough, dyspnea in Herceptin-treated patients. We also
10 observed some back pain.

11 [Slide]

12 We also observed gastrointestinal adverse events
13 that were increased in Herceptin-treated patients, nausea,
14 vomiting and diarrhea, with some metabolic complications of
15 dehydration and hypokalemia. We also observed an increased
16 rate of infection, leukopenia, pharyngitis and insomnia in
17 the anthracycline treatment group.

18 [Slide]

19 The serious adverse events that were observed in
20 the anthracycline treatment arm included an increase in
21 fever, 23 percent in the Herceptin plus anthracycline arm
22 compared to 16 percent in the anthracycline alone arm.
23 However, the rate of sepsis was roughly balanced across the
24 treatment groups, and we also observed pneumonia. We did
25 observe serious events of congestive heart failure, and

1 cardiomyopathy increased in the Herceptin plus treatment
2 group compared to the control arm.

3 [Slide]

4 In patients who received Herceptin plus AC, we
5 observed 111 discontinuations of the 143 patients who were
6 enrolled and treated in this arm. The majority of patients
7 discontinued for reasons related to disease progression,
8 however, 20 patients discontinued Herceptin for an adverse
9 event. The majority of these adverse events were
10 cardiovascular in nature.

11 [Slide]

12 Turning now to the paclitaxel treatment group,
13 these are the adverse events that were increased in the
14 Herceptin plus paclitaxel treatment arm. We observed chills
15 and fever and arthralgia that were common to the first dose
16 of Herceptin, and insomnia. We also observed diarrhea,
17 cough, tachycardia and accidental injury. Again, you see a
18 similar pattern. The majority of these events were mild to
19 moderate in severity and severe events were infrequent.

20 [Slide]

21 We observed some dermatologic adverse events of
22 acne and rash, epistaxis, hypertonia, herpes simplex, and
23 some infectious complications that were increased with
24 Herceptin treatment.

25 [Slide]

1 We observed 2 serious adverse events that were
2 increased with Herceptin. Fever was one but the rate of
3 dehydration was balanced across the treatment groups.

4 [Slide]

5 Sixty-five of the 91 patients who were treated
6 with Herceptin in the paclitaxel treatment group
7 discontinued Herceptin. A majority of those, 50 patients,
8 discontinuations were related to disease progression, and 6
9 patients discontinued for reasons due to an adverse event.
10 Three of those adverse events were cardiac in origin.

11 [Slide]

12 We assessed 903 patients for immunogenicity to
13 Herceptin using an ELISA assay. We observed only 1 positive
14 result. This patient is a 49-year old woman who was treated
15 in the open-label, single-agent H0649g study. She had
16 received 9 doses of Herceptin and discontinued the trial on
17 day 65 due to reasons related to disease progression. A
18 serum sample was drawn and the titer was found to be
19 positive. However, upon review of the adverse events at the
20 time of discontinuation, there were no events that suggested
21 an allergy to Herceptin.

22 [Slide]

23 Turning now to the cardiac adverse events, I would
24 like to start the discussion by providing you with a
25 background of the safety concern, followed by a discussion

1 of the procedures and methods used by our cardiac review and
2 evaluation committee, and then close with a discussion of
3 the results of their assessments by incidence severity,
4 outcome and analysis of risk.

5 [Slide]

6 A cardiac safety concern was identified after 4
7 serious cases of cardiomyopathy were reported to Genentech
8 as serious adverse events. The safety concern was
9 unexpected given the prior anthracycline histories in all 4
10 cases, but was also unpredicted based on our preclinical
11 safety program and our Phase 1 and 2 clinical trial data.

12 In response to the safety concern, we provided
13 information to our independent data monitoring committee for
14 review, and also alerted our investigators, patients and
15 regulatory authorities, with amendments to our protocols,
16 revisions to our informed consents and investigator
17 brochure. Most importantly, we informed retrospectively an
18 independent cardiac review and evaluation committee to assist
19 Genentech with assessment of the severity of this issue.

20 [Slide]

21 The cardiac review and evaluation committee was
22 charged with defining the syndrome of cardiac dysfunction,
23 to determine the incidence and assess the severity using the
24 New York Heart Association functional classification scoring
25 system at the time of presentation and following treatment.

1 The committee was independent of Genentech and not otherwise
2 participating in the clinical trial, and were blinded to
3 Herceptin treatment exposure. The committee was comprised
4 of 2 oncologists who were specialists in breast cancer and 1
5 cardiologist.

6 [Slide]

7 The cardiac review and evaluation committee
8 prospectively defined cardiac dysfunction to include any one
9 of the following characteristics: signs and symptoms of
10 congestive heart failure, a cardiomyopathy that was
11 characterized by a fall in cardiac ejection fraction with
12 hypokinesis that was either global or more severe in the
13 septum, and criteria for decline in cardiac ejection
14 fraction for both symptomatic and asymptomatic patients.

15 [Slide]

16 The CREC used the New York Heart Functional
17 Association classification scale to measure the severity of
18 cardiac dysfunction at initial presentation and following
19 treatment. For those of you who are not familiar with this
20 system, here are the key points. It is a 4-class system.
21 Class I patients have no limitations of physical activity.
22 Class II patients have slight limitations of physical
23 activity, and ordinary activity can result in symptoms
24 related to cardiac dysfunction. Class III patients have
25 marked limitations of physical activity and less than

1 ordinary activity can result in symptoms. Class IV
2 patients, the most severe class, are patients who have an
3 inability to carry on any physical activity without
4 symptoms. They very often are symptomatic at rest.

5 [Slide]

6 Here are the results of the CREC review. The
7 review process was intended to be comprehensive and without
8 bias. The committee provided Genentech with search criteria
9 describing cardiac dysfunction. We then applied that search
10 criteria to our safety databases, and provided the cardiac
11 review and evaluation committee with patient profiles for
12 review that contained adverse events, medications, and
13 ejection fractions, and 1024 patients were in the database
14 that was screened by this process.

15 Out of this initial screening, the cardiac review
16 committee identified 153 patients for complete medical
17 review. The committee was provided with copies of medical
18 records and select data from the clinical trial database for
19 review. From those 153 patients, 97 were diagnosed with
20 cardiac dysfunction. Seven patients were determined to be
21 not evaluable due to lack of complete data for review, and
22 49 patients were diagnosed with conditions other than
23 cardiac dysfunction. Those conditions in many patients
24 included arrhythmia, tamponade, etc.

25 [Slide]

1 Here is the summary of the cardiac review and
2 evaluation committee results by treatment. Again, there
3 were 97 patients diagnosed with cardiac dysfunction. The
4 majority of those patients were participating in the
5 comparative study, H0648g, and a smaller number of patients
6 were receiving Herceptin as a single agent or in combination
7 with other chemotherapies from 3 other smaller studies.

8 Because the H0658g study is a comparative trial
9 and contains the majority of data in this data set, I would
10 like to spend a couple of minutes discussing the results and
11 analysis of patients in this trial.

12 [Slide]

13 This slide details the incidence by treatment
14 group of cardiac dysfunction. The patient subgroup with the
15 highest incidence was in the Herceptin plus anthracycline
16 treatment arm and 27 percent of patients were diagnosed with
17 cardiac dysfunction, which is increased over the 7 percent
18 incidence in the anthracycline alone treatment group. We
19 also saw an increase in Herceptin-treated patients in the
20 paclitaxel cohort and 12 patients were diagnosed with
21 cardiac dysfunction compared with 1 patient in the
22 paclitaxel treatment group, although the magnitude of this
23 increase is not as large as that seen in the anthracycline
24 treatment arm.

25 The severity of cardiac dysfunction at the initial

1 event is listed here, and 9 percent of patients in the
2 Herceptin plus AC treatment group had class IV; 7 percent
3 had class III; and 3 percent had class II. All 3 classes
4 were symptomatic at presentation. Six percent of patients
5 were asymptomatic at initial presentation. We saw similar
6 trends in the control arm. Conversely, in the paclitaxel
7 treatment arm there were no patients at initial presentation
8 with New York Heart grade 4 cardiac dysfunction. In fact,
9 many of the patients were either symptomatic or mildly
10 symptomatic at initial presentation. It suggests that the
11 syndrome that we observed in the anthracycline treatment
12 group compared to the paclitaxel group is somewhat
13 different. The syndrome appears to be less frequent and
14 less severe at initial presentation.

15 [Slide]

16 Here are the results of cardiac dysfunction
17 following treatment. Again, many of the patients at initial
18 presentation in the anthracycline treatment group were
19 symptomatic, and many of those patients received therapy for
20 cardiac dysfunction, most frequently multiple therapies.
21 Cardiac dysfunction appears to be responsive to treatment,
22 as seen by the shift in New York Heart Association scores.

23 Following treatment there was no case of class IV
24 cardiac dysfunction; 6 percent of patients had class III,
25 and the majority of patients in this group had class I and

1 II. However, we did observe 1 death related to cardiac
2 dysfunction in the Herceptin plus AC treatment group. We
3 saw a similar trend in response in the anthracycline alone
4 treatment group, and again saw 1 death related to cardiac
5 dysfunction.

6 [Slide]

7 Here are the results post treatment for the
8 paclitaxel treatment arm. Again, many of the patients were
9 moderate to mildly symptomatic at presentation, and we saw
10 an improvement in those symptoms as seen by the shift in the
11 New York Heart functional scores. Nine percent of patients
12 had class I and 1 percent of patients had class II.
13 Importantly, there were no deaths related to cardiac
14 dysfunction in this treatment group. It is very difficult
15 to compare the treated patients to the control patients due
16 to the low percentage of patients with cardiac dysfunction
17 in the paclitaxel alone treatment group.

18 [Slide]

19 Again, this safety concern was unexpected, and in
20 order to try to identify patients who might be at greater
21 risk for cardiac dysfunction we performed an exploratory
22 analysis using these following baseline characteristics as
23 possible risk factors for cardiac dysfunction. The only
24 risk that we identified were patients who were treated with
25 Herceptin plus AC. In those women increased age was

1 suggestive of risk.

2 [Slide]

3 We observed cardiac dysfunction in the 3 open-
4 label studies, H0551g, which is the Phase 2 trial; the
5 pivotal H0649g study; and the ongoing H0650g study. These
6 are studies of relapsed metastatic breast cancer for these 2
7 trials.

8 The incidence of cardiac dysfunction was
9 comparable in 2 studies, and much less in the ongoing H0650g
10 study. All patients in these studies, with the exception of
11 1 in the pivotal H0649g study, have received prior
12 anthracycline. Patients in the H0551g study have received
13 either CAF therapy or CA therapy up to 6 cycles.

14 We did see persistent cardiac dysfunction in some
15 patients who were diagnosed with the condition following
16 therapy, however, again, these are women with metastatic
17 relapse breast cancer who have received prior anthracycline
18 treatment. Importantly, we did observe death secondary to
19 cardiac dysfunction in these studies.

20 [Slide]

21 So, to summarize the cardiac adverse event
22 profile, cardiac dysfunction was observed in 7 clinical
23 studies during the Herceptin development program. The
24 greater risk and probability appears to be with Herceptin as
25 concurrently administered with AC chemotherapy. There is a

1 lower probability, and the condition appears to be less
2 severe when Herceptin is administered with paclitaxel or
3 given as a single agent. Cardiac dysfunction can be severe
4 and life-threatening, however, it is responsive to therapy
5 as seen by the relatively low incidence of persistent
6 cardiac grade III dysfunction in 1 subgroup.

7 [Slide]

8 To summarize the overall safety profile of
9 Herceptin, Herceptin appears to be generally well tolerated
10 when administered as a single agent or in combination with
11 chemotherapy.

12 Most of the adverse events that we observed were
13 mild to moderate in severity, and severe adverse events were
14 infrequent. This includes infusion-related adverse events,
15 the majority being chills and fever with the first dose.

16 We did observe an increased incidence in cardiac
17 dysfunction when Herceptin is administered in combination
18 with anthracyclines.

19 We also observed an increased incidence in a
20 variety of other adverse events, the majority of these
21 adverse events being mild to moderate in severity.

22 Finally, discontinuations for adverse events were
23 infrequent for single agents and for Herceptin plus
24 paclitaxel. The higher incidence observed in patients
25 treated with Herceptin plus AC appears to be related to the

1 syndrome of cardiac dysfunction.

2 [Slide]

3 I would like to turn the podium back to Dr. Shak
4 who will discuss these risks in combination with the
5 benefits.

6 **Summary of Benefits and Risks**

7 DR. SHAK: Thank you. I will conclude by briefly
8 summarizing the benefits, summarizing the risks, and then
9 addressing the net clinical benefit.

10 [Slide]

11 With regard to the benefits of Herceptin as a
12 single agent, we have seen that Herceptin induces objective,
13 durable tumor responses.

14 [Slide]

15 With regard to the benefits of Herceptin in
16 combination with chemotherapy, the results of the analyses
17 of the randomized, controlled trial indicate that with
18 regard to the prospectively defined endpoint of median time
19 to disease progression, a statistically significant and
20 clinically important difference was observed, both with
21 Herceptin plus chemotherapy compared to chemotherapy
22 overall, as well as in the AC and in the paclitaxel stratum.

23 [Slide]

24 Significant benefits of Herceptin were also seen
25 with regard to response rate;

1 [Slide]

2 with regard to the duration of response;

3 [Slide]

4 with regard to the time to treatment failure;

5 [Slide]

6 and, finally, with regard to survival at 1 year.

7 In summary, in this randomized, controlled trial, we saw
8 strong and consistent evidence of benefit.

9 [Slide]

10 With regard to safety, Herceptin is generally well
11 tolerated. However, adverse events can be expected based on
12 our analysis of the results of the controlled trials.

13 Infusion-associated symptoms do occur in up to 40 percent of
14 patients, usually fever and chills primarily with the first
15 infusion.

16 In addition, we have identified an increased
17 incidence of a number of other adverse events which can be
18 expected. Most of those adverse events were mild to
19 moderate in severity.

20 [Slide]

21 Importantly, we identified a risk of cardiac
22 dysfunction. The risk was greatest and the incidence was
23 highest in patients treated concurrently with Herceptin plus
24 AC, 27 percent, and lower in patients treated with Herceptin
25 plus paclitaxel treatment or treatment with single agent.

1 Herceptin. It can be expected that with Herceptin plus AC 6
2 percent of patients would have persistent class III cardiac
3 dysfunction. The incidence of persistent class III cardiac
4 dysfunction is low, as shown, with Herceptin plus paclitaxel
5 or paclitaxel alone.

6 [Slide]

7 As we think about addressing net clinical benefit,
8 the benefits and the risks, we have found that 2 of our
9 prespecified endpoints are useful in addressing this issue.
10 First, time to treatment failure. Time to treatment failure
11 balances the benefits of the delay in disease progression or
12 death against the risks, as indicated by discontinuation of
13 study or Herceptin due to adverse events. In both the Ac
14 stratum and the paclitaxel stratum Herceptin significantly
15 delayed the time to treatment failure.

16 [Slide]

17 Finally, the most important prespecified endpoint
18 which integrates benefit and risk is survival. With regard
19 to survival at 1 year, survival at 1 year was significantly
20 increased, from 65 percent with chemotherapy alone to 78
21 percent with Herceptin plus chemotherapy, with maintained
22 quality of life.

23 [Slide]

24 This survival difference was seen in both the AC
25 strata and in the paclitaxel strata.

1 [Slide]

2 In summary, for women that have tumors that
3 overexpress HER2 and metastatic breast cancer, a
4 particularly aggressive form of this disease, an assessment
5 of the benefits and risks supports the use of Herceptin as a
6 single agent and in combination with chemotherapy. The
7 benefits of Herceptin in combination with anthracycline
8 regimens, however, should be carefully evaluated against the
9 risk of increased cardiac dysfunction.

10 [Slide]

11 Finally, therefore, we would conclude on the basis
12 of these data that Herceptin is safe and effective for the
13 treatment of patients with metastatic breast cancer who have
14 tumors that overexpress HER2.

15 Thank you, and we look forward to answering
16 questions.

17 **Questions from the Committee**

18 DR. DUTCHER: Thank you very much. Are there
19 questions for the sponsor from the committee? Dr. Schilsky?

20 DR. SCHILSKY: Well, it comes as something of a
21 surprise to me that you said consistently that you had no
22 expectation regarding cardiac events until they occurred.
23 So, I am wondering about at least two types of information.
24 One is what you observed in the Phase 1 trials. Was there
25 any hint of cardiac toxicity? Was there any suggestion that

1 it might be dose related?

2 Secondly, I guess in the pivotal trials, at least
3 early on, there was cardiac surveillance built in which was
4 then removed and then reinstated.

5 DR. SHAK: Yes.

6 DR. SCHILSKY: But during the initial portion of
7 the trial while there was cardiac surveillance ongoing, was
8 there any suggestion that there was cardiac toxicity
9 developing in those patients?

10 DR. SHAK: No. With regard to the questions,
11 first of all, our experience in Phase 1 -- we didn't observe
12 any cardiac adverse events. In Phase 2, there were 3
13 cardiac adverse events that were judged by the investigator
14 and by us to be related to prior anthracycline use. We did
15 assess initially cardiac ejection fractions.

16 In fact, our first DMC meeting occurred in
17 September of 1996, after the first 50 or 60 patients had
18 been entered into the trial. They reviewed the unblinded
19 data, independent of us, and specifically answered the
20 question did they see any increase in the toxicity of
21 chemotherapy, and at that early point in time they did not
22 report finding an increase.

23 We did actually identify this unexpected event
24 through the appropriate and careful monitoring of serious
25 adverse events that come in from investigators within 24

1 hours of their occurrence.

2 DR. DUTCHER: Go ahead, Dr. Weiss.

3 DR. WEISS: Dr. Shak, I have a few questions
4 regarding the cardiac adverse event issues. Maybe if you
5 could just clarify this, were there baseline ejection
6 fractions obtained in a large number of the patients in the
7 pivotal studies, pretreatment ejection fractions, by any
8 chance at all?

9 DR. SHAK: Actually, Dr. Paton can summarize how
10 much we know with regard to ejection fractions.

11 DR. WEISS: Okay. In the absence of baseline
12 ejection fractions, I guess the follow-up question would be
13 can one easily evaluate the effect of treatment on the
14 presence or absence of any cardiac AEs as well as if you did
15 have the ejection fractions?

16 You had some numbers for fall in ejection
17 fractions, 55 percent minus 5 percent or 10 percent
18 depending on symptoms. Is that an absolute fall or a fall
19 from baseline? So, that is a very full question.

20 DR. PATON: So, your first question, to reiterate,
21 is how many patients had baseline cardiac ejection
22 fractions.

23 [Slide]

24 Here we have a slide that details that level of
25 information by the 4 treatment groups. We have baseline

1 data on 13 patients on the Herceptin plus AC, and that is
2 the second line on the graph: 23 patients on the AC alone;
3 11 patients on Herceptin plus paclitaxel; and 14 patients in
4 the paclitaxel alone group.

5 DR. WEISS: So, would you comment on the fall from
6 55 percent or 5 percent or 10 percent? That was then an
7 absolute decrement from 55 percent? Is that correct?

8 DR. PATON: I would like Dr. Deborah Keefe, who
9 designed those criteria, to clarify that point for you.

10 DR. KEEFE: Debie Keefe, cardiology advisor to
11 Genentech. That was when we had information available, and
12 it was the actual percentage in primarily patients who were
13 asymptomatic that we used that. In some cases there was
14 data available that had been obtained for other reasons
15 because many of these patients had received anthracyclines.
16 In patients who were symptomatic we accepted a single number
17 if it was low and correlated with symptoms, even though
18 there was not a change.

19 DR. WEISS: May I ask another follow-up?

20 DR. DUTCHER: Sure.

21 DR. WEISS: Given that, I wonder if either of you
22 or any of the three of you might comment on how one might
23 accurately assess whether the cardiac adverse events are
24 true adverse events, or perhaps a reflection of prior
25 disease in some of the patients who didn't have baseline

1 echoes, or whether the AE is perhaps potentiated by prior
2 disease. Can you sort that out just a bit?

3 DR. PATON: Dr. Keefe, would you like to comment?

4 DR. KEEFE: To sort it out as best we can,
5 realizing that we have incomplete data since it was not
6 prospectively collected completely, some of the cardiac
7 events do appear to be real. Certainly, there were true
8 clinical syndromes of congestive heart failure. It is not
9 clear that this syndrome is entirely the same as
10 anthracycline cardiotoxicity. In at least some of the
11 patients there was much more improvement than you would
12 expect from an anthracycline cardiomyopathy. However, there
13 did seem to be an interaction, and the information that is
14 actually most supportive of the fact that Herceptin may have
15 had a role in this is not any of our preexisting information
16 but the fact that it was a randomized trial and we did, in
17 fact, see different numbers. In any given case, these were
18 very sick patients who had multiple reasons to have dyspnea
19 and symptoms of heart failure. As you heard, there was an
20 overwhelming number who had lung involvement, and separating
21 that out could be very difficult.

22 DR. WEISS: Any thoughts on the mechanism of
23 possible interaction between Herceptin and the
24 anthracycline, because the AE rate in that particular
25 category was so dramatically higher than in patients on

1 anthracycline alone?

2 DR. SHAK: At the current time, we don't have any
3 data that directly bears on the mechanism. That is
4 obviously a subject of great interest to us, as well as our
5 academic colleagues.

6 DR. DUTCHER: Dr. Lipschultz, do you have a
7 question?

8 DR. LIPSCHULTZ: I also have some questions
9 regarding the cardiac findings. You mentioned before that
10 you had a core lab for your HER2 testing for rigor and
11 standardization. Did you have anything similar for cardiac
12 measurements, or were those just what was reported? Did you
13 have any quality control for ejection fractions or things
14 like that?

15 DR. SHAK: We asked for ejection fractions to be
16 obtained either by MUGA or echo but, again, since this was
17 unexpected, we did not institute the kind of procedures that
18 you are talking about.

19 DR. LIPSCHULTZ: For the patients on the study --
20 we just saw the data for the numbers who had measurements of
21 ejection fraction, did you have numbers for
22 electrocardiograms or biopsy or autopsy findings relevant to
23 the heart in the sense of trying to better understand this?
24 Because at various points in here you speak of tachycardia;
25 you speak of arrhythmias; and I am just wondering if you

1 have any additional cardiac data along those lines.

2 DR. SHAK: We actually don't have any additional
3 data that would help with regard to that.

4 DR. LIPSCHULTZ: So, the electrocardiographic
5 abnormalities were just those that were randomly reported,
6 but it wasn't part of what was collected?

7 DR. SHAK: Correct.

8 DR. LIPSCHULTZ: At one point, and I think it was
9 in the FDA supplied information, there was mention of at
10 least histologic appearance of myocardium in one patient.
11 Was there additional information in any other patient? I
12 ask the question I was asking before about biopsy or
13 autopsy.

14 DR. SHAK: Yes.

15 DR. LIPSCHULTZ: So, clearly, you have at least
16 one. You don't have anything else?

17 DR. SHAK: It is just anecdotal, but there are
18 studies that we performed in three cases for which we have
19 data with regard to myocardial biopsy, and a fourth. Dr.
20 Paton?

21 [Slide]

22 DR. PATON: We obtained the reports on 4 patients
23 who had biopsies performed. One of these patients is from
24 the single-agent trial and the remaining 3 patients are from
25 the comparative study. In 3/4 patients there was evidence

1 of some damage. The first patient had received 426 mg of
2 anthracycline and her biopsy was consistent with
3 anthracycline toxicity. The second patient had also
4 received significant anthracycline, however, her specimen
5 was not of a good quality to make any assessment. So the
6 only conclusion was that they could not evaluate it. They
7 saw no evidence of toxicity. The third patient had received
8 2 cycles of AC on study and had a biopsy performed. There
9 was no inflammation, necrosis or fibrosis, but occasional
10 vacuoles seen in her specimen. In the fourth patient there
11 was evidence for a grade 1 toxicity.

12 DR. LIPSCHULTZ: Grade 1 anthracycline toxicity?

13 DR. PATON: There was minimal evidence of
14 anthracycline damage. This is directly out of the pathology
15 reports that were supplied.

16 DR. DUTCHER: Why were these people biopsied?

17 DR. PATON: They were biopsied as part of the
18 routine care and investigation of the symptoms that were
19 reported. These patients were symptomatic.

20 DR. LIPSCHULTZ: Getting at some of these
21 findings, we are focusing on anthracycline potentiation of
22 toxicity, but in the same group they were receiving
23 cyclophosphamide as well which could have an inflammatory
24 pericarditis. I notice a couple of your patients were
25 listed as having pericardial effusions or tamponade. I know

1 in some of the prior interleukin studies at high dose there
2 was potentiation, and these also have effects. That is why
3 I was wondering if you had any more information that you
4 could potentially have available from patients to try to get
5 a feel for the mechanism.

6 The other question I have is that in some of your
7 data you speak of improvement in New York Heart Association
8 with therapy. As a cardiomyopathy cardiologist, we usually
9 don't find that to be a particularly useful prognostic
10 scoring system, and certainly in the field of transplant and
11 other things we rely on much more objective criteria.

12 One of the questions that I have for you is most
13 patients will respond to therapy for congestive heart
14 failure at least transiently. It was not clear to me from
15 you presentation what the interval was between your
16 assessment before and after anticongestive therapy? Because
17 part of your conclusion is that most of these patients will
18 respond that have congestive heart failure symptoms, and
19 what sort of follow-up do you have of these patients?

20 DR. PATON; The duration of follow-up varied by
21 the onset and length of participation in the trial. We
22 initiated the cardiac review system in late 1997, and it
23 continued through the second quarter of this year. As far
24 as the quality of the response, I would like again to ask
25 Dr. Keefe to comment on the quality of the responses that

1 she reviewed.

2 DR. LIPSCHULTZ: But the data that you showed for
3 improvement on anticongestive therapy -- it looked like you
4 had a cut-off on data of December 31. I am just wondering
5 how long after starting anticongestive therapy did you make
6 those slides?

7 DR. PATON: Actually, to clarify, the majority of
8 the safety data that I presented today was data with the
9 cut-off of December 31. Some of the cardiac data that we
10 obtained was very current and does exceed that cut-off. So,
11 to answer your question about the duration of those
12 responses to anticongestive therapy, Dr. Keefe may want to
13 comment.

14 DR. KEEFE: Just one additional comment, when we
15 do talk about a longer-term response, we are allowing at
16 least 2 visits, which would be a minimum of 2-4 weeks
17 depending on the exact trial, after the acute event. In
18 most cases, this was the latest information that was
19 available and in several cases many months or years.
20 However, the limitation in this trial was really that these
21 patients had advanced metastatic breast cancer and that
22 disease continued to progression. So, this is very
23 different than our transplant populations where they don't
24 have another complicating factor. The ones that were not
25 available, for example, couldn't be evaluated because they

1 developed brain metastases and couldn't walk or had other
2 disastrous complications.

3 DR. DUTCHER: Dr. Weiss?

4 DR. WEISS: Yes, Dr. Lipschultz raises some very
5 critical issues in his last set of questions. I just want
6 to follow-up along similar lines. Many, many patients with
7 severe cardiomyopathies and tremendous ejection fractions,
8 as Dr. Lipschultz implied, respond dramatically to very
9 straightforward anticongestive heart failure measures, and
10 sometimes durably, and improvement in symptoms doesn't often
11 equate with marked improvement structurally or even
12 functionally by objective criteria.

13 I would just like to follow-up on the objective
14 criteria question a little bit. Do you have any follow-up
15 information, for example, on follow-up echocardiograms in
16 those patients who did versus those patients who didn't
17 improve? Was there improvement in ejection fraction by some
18 objective means? And, finally, were there any particular
19 agents that were particularly efficacious in making these
20 patients better, any particular class of agents over other
21 classes?

22 DR. SHAK: With regard to the cardiac ejection
23 fraction data, again very simply, we did see in the data set
24 some cases in which the ejection fractions did improve with
25 therapy and in some cases they did not. With regard to

1 treatment, Dr. Paton can address that. The CREC also did
2 document treatment in all of these cases.

3 DR. PATON: We observed combination therapies
4 employed commonly for the patients in the Herceptin plus AC
5 treatment group. The common combinations were digoxin plus
6 a diuretic, most often Lasix, and an ACE inhibitor. That
7 was a very common combination that we observed in the
8 Herceptin plus AC treatment group. Only 2 patients required
9 either dopamine dibutamine for control. In contrast, the
10 patients who developed cardiac dysfunction in the Herceptin
11 plus paclitaxel treatment group were treated with single
12 agents for the majority, either diuretics or an ACE
13 inhibitor. Digoxin was not a common agent in the Herceptin
14 plus paclitaxel treatment group.

15 DR. WEISS: Just a final question, did many of
16 these patients or any of them respond to prior pretreatment
17 with dexrazoxane?

18 DR. PATON: Dexrazoxane was administered primarily
19 to patients who were in the AC treatment cohort. It was
20 administered after approximately 300 mg/m² which is
21 consistent with the labeling with dexrazoxane. We could
22 show the slide to see the distribution between the cardiac
23 versus the non-cardiac patients. In patients with cardiac
24 dysfunction, 5 patients received Zinecard. In patients
25 without cardiac dysfunction, 7 in the Herceptin plus AC

1 group compared to 4 in the AC alone group. We did not
2 control for Zinecard usage in our protocol.

3 DR. DUTCHER: Dr. Vose?

4 DR. VOSE: I have a couple of questions on a
5 different topic, to change topics for a minute. In patients
6 with breast cancer and bone disease it is sometimes very
7 difficult to assess their response to therapy. Can you tell
8 me the criteria that they used as far as assessment of
9 complete response and partial response for those patients,
10 and what percentage of the responders had bone disease alone
11 or a major part of their disease as bone disease?

12 DR. SHAK: In the H0649g study, the single-agent
13 study, patients with bone-only disease were enrolled.

14 DR. VOSE: In the other studies?

15 DR. SHAK: In the comparative trial we did allow
16 bone-only disease, which is the case in about 8 percent of
17 cases. So, it was very small. With regard to the
18 assessment, which is the most important issue of progression
19 or response in bone, there was a requirement in the response
20 evaluation charter as well as a requirement for the
21 investigators to document bone disease if it was to be an
22 indicator lesion by objective criteria, most preferably an
23 MRI or a CT scan. So, it was those studies then that were
24 provided to the CREC for their assessments.

25 DR. VOSE: You were using MRI and CT scans --

1 DR. SHAK: Right.

2 DR. VOSE: -- the combination is somewhat
3 difficult because you always have lesions that are left over
4 and you don't quite know what they mean.

5 DR. SHAK: Right.

6 DR. VOSE: So, that is difficult criteria. So,
7 you are saying for a complete response in bone-only disease
8 you required that they had absolutely no evidence of
9 abnormality?

10 DR. SHAK: Our definition of complete response was
11 no evidence of disease. I think there was one case in which
12 that might be questioned in the single-agent study.

13 DR. VOSE: And one other question with respect to
14 patients. In some of the other similar antibody studies,
15 patients that had failed transplant paradoxically actually
16 had an improved response to the antibody studies, such as
17 the C2B8 study and the B1 study. Did you look at that as
18 prognostic criteria, in particular in the paclitaxel group?
19 Did that account for some of the differences?

20 DR. SHAK: We actually looked at that in both
21 studies, and that paradoxical effect actually was observed
22 in a single-agent study. In that study, the overall
23 response rate was 15 percent. But in 26 percent of the
24 patients, almost a quarter that had a prior transplant the
25 response rate was over 25 percent. With regard to the

1 comparative trial in prior transplants, we have it in terms
2 of risk ratios of response, we will get that for you.

3 DR. DUTCHER: Could I ask you a little bit about
4 the infections that seemed to be at a higher number in the
5 group that received Herceptin? Did you explore that at all?
6 Is it a function of some type of immunological interaction
7 or pure chance, or whatever?

8 DR. SHAK: We have characterized the nature and
9 severity of the infections.

10 [Slide]

11 DR. PATON: As I previously presented, we observed
12 an increase in infection in Herceptin-treated patients. For
13 those adverse events that were consolidated under the term
14 "infection" we observed 2 primary types of infection. The
15 first was upper respiratory tract, colds, viral type
16 illnesses that were easily managed with over-the-counter
17 cough and cold products. Those were mild and moderate in
18 severity. We also observed catheter-related infections that
19 were probably related to the increased frequency of catheter
20 manipulation for the antibody infusion. Again, many of
21 these infections were easily managed with antibiotics and,
22 in rare cases, removal of the indwelling catheter.

23 DR. DUTCHER: Dr. Miller?

24 DR. MILLER: Just getting back to the incidence of
25 toxicities, you talked about that the cardiac events were

1 unexpected and I want to go back to your Phase 1 and Phase 2
2 study designs. Did you do dose-limiting toxicity in the
3 Phase 1 study?

4 DR. PATON: No, we did not.

5 DR. MILLER: Then, your Phase 2 studies used a
6 different drug, combination of cisplatin and Herceptin, than
7 your pivotal studies. So, the cardiac finding was
8 unexpected in a large trial, I think in some ways, because
9 the Phase 1 studies didn't look at the same population. So,
10 now we are left with trying to determine what chemotherapies
11 we can and can't potentially use in combination with
12 Herceptin. Do we need to do Phase 1 with this drug because
13 we didn't pick this up?

14 Also, as Dr. Lipschultz said, this is not cytoxan,
15 as you said, and as you dose escalate cytoxan potentially if
16 you want to use these drugs potentially, it is the
17 anthracycline in the AC, not the cytoxan, and how are we
18 going to get that information? Can you just sort of give me
19 an idea of the background about going into a Phase 3 with
20 something that wasn't tested in Phase 2?

21 DR. PATON: I would like to ask Dr. Shak to
22 explain the development and rationale.

23 DR. SHAK: A selection of the combination with
24 cisplatin in the Phase 2 was based on very strong and
25 compelling preclinical data. However, it was also clear

1 that in doing a randomized study it would be difficult to
2 get patients in a control arm to randomize currently to that
3 agent alone. Therefore, we did, in collaboration with our
4 advisers and the FDA, design an appropriate trial that was
5 relevant to answering the question of does the addition of
6 Herceptin add benefit to available regimens that are
7 commonly used.

8 With regard to the issue of how do we assure
9 safety, that was again one of the reasons why we
10 specifically had the data safety monitoring committee review
11 safety after the first 60 patients. It was, in part, to be
12 diligent about safety in that regard.

13 With regard to the question about safety with
14 other chemotherapeutic agents, again the best way to
15 evaluate safety is in controlled studies in which safety and
16 ultimately efficacy is carefully established.

17 DR. MILLER: I have a follow-up question. In the
18 randomized trial you changed your screening criteria with
19 the second amendment and put it back in the third. Does
20 screening for cardiac dysfunction affect the incidence of
21 cardiac AEs? I mean, there was a time period where there
22 was really no real screening. The patients could be as sick
23 almost as they wanted to be as long as the investigator felt
24 that he could -- I mean, the wording for when those patients
25 could go on study was very vague, and I know that was

1 because you wanted to open enrollment. But is that the time
2 period of the study that was at greatest risk, and when you
3 actually then went back and added some more cardiac
4 screening did your risk go down?

5 DR. SHAK: We did carefully look at the
6 demographics of patients enrolled in the study, and with
7 regard to eligibility, and although there was a handful of
8 cases that might have been enrolled in the study, because of
9 the change in the eligibility criteria when we looked at the
10 incidence of cardiac dysfunction we saw no relationship to
11 prior disease as being a predictor. So, in that regard, I
12 don't think that there is a relationship. We did pick up
13 this as an adverse event by doing appropriate and careful
14 clinical monitoring both by our investigators and by us.

15 DR. MILLER: But I guess the question is what was
16 the incidence early on when you were doing monitoring
17 comparing to the incidence when you weren't doing
18 monitoring?

19 DR. SHAK: Oh, we picked this up mainly related to
20 the rate of enrollment in the study. As the rate of
21 enrollment in the study increased, the number of patients on
22 Herceptin plus AC increased. That was then precisely the
23 point where it went from being just 1 or 2 cases, which is
24 all we were aware of, to being I think at that point 8 at
25 the time at which we decided that this was very much a

1 possible risk. So, it was the rate of enrollment that drove
2 our recognition and not the change in eligibility per se.

3 DR. MILLER: Okay. So, we don't have any way we
4 can sort of figure out which patients would be at greatest
5 risk. So, a good screening for MUGA or ejection fraction
6 going into a study, we don't think could be of any help?

7 DR. SHAK: We don't have data at this point. We
8 have looked at whether we could predict this and, as Dr.
9 Paton presented, when we looked at risk factors at this
10 point, the only risk factor that was identified was in the
11 subgroup of women who were treated with Herceptin plus AC
12 and were of older age.

13 DR. DUTCHER: Miss Beaman?

14 MS. BEAMAN: I think I saw standard dosage. Was
15 the dosage of Herceptin always standard or the same whether
16 it was used alone or with chemotherapy, and would that have
17 made a difference in varying that dosage in terms of
18 toxicity?

19 DR. SHAK: The dosage that was used in both
20 studies was the same. So, we have evidence that addresses
21 the safety and efficacy at the recommended dose. We don't
22 have data to address safety and efficacy at alternative
23 doses.

24 DR. DUTCHER: Dr. Simon?

25 DR. SIMON: I have a couple of questions. One,

1 and maybe I missed it, what was the response rate in the
2 comparative trial to the patients who crossed over to the
3 Herceptin arm? And, what was the nature of their treatment?

4 DR. SHAK: The question is about the patients
5 enrolled in the crossover study, H0659g. What was the
6 nature of their treatment? We did, in fact, allow standard
7 chemotherapy so a large number of regimens were employed in
8 these patients.

9 DR. SIMON: I am talking about the patients who
10 initially were randomized not to receive Herceptin and then
11 they progressed --

12 DR. SHAK: Right, we will have a slide in a second
13 that will show at least the most commonly used agents, and
14 then there were many other regimens.

15 DR. SIMON: So, some of them received Herceptin at
16 crossover.

17 DR. SHAK: Yes, they could receive Herceptin at
18 crossover either alone or in combination with other
19 regimens. With regard to your second question about the
20 response rate in the crossover, the response rate overall --

21 DR. SIMON: Those who received Herceptin at
22 crossover.

23 DR. SHAK: Yes, the response rate was 14 percent.

24 DR. SIMON: The other question I have had to do
25 with survival data. It is very unusual in therapeutic

1 oncology studies to use 1-year survival as sort of the
2 endpoint. Usually you use survival as the endpoint. In
3 fact, a lot of times when people use 1-year survival it is
4 actually very suspicious because people tend to pick the
5 point where the curves are maximally separated post hoc.
6 You indicated that this was defined as an endpoint in the
7 protocol. Is that correct?

8 DR. SHAK: This was prespecified.

9 DR. SIMON: And what was the rationale?

10 DR. SHAK: The rationale was really two-fold. The
11 first was that survival at 1 year is clinically important to
12 patients who are HER2 positive with metastatic breast
13 cancer. The second point did reflect the fact that we knew
14 that there was a crossover and that might mitigate the
15 interpretation of data with long-term follow-up.

16 DR. SIMON: Did you have patients on the study who
17 were on study for less than one year, who at the time of
18 analysis had entered the study within the previous 12
19 months?

20 DR. SHAK: I don't understand the question.

21 DR. SIMON: At the time of analysis, I guess it
22 was April -- well, when did your accrual close?

23 DR. SHAK: The accrual closed in March of 1997,
24 and we did our analysis in March of 1998. So we had good
25 follow-up.

1 DR. DUTCHER: Dr. Doroshow?

2 DR. DOROSHOW: I have two questions. Could you
3 tell us whether or not left chest wall irradiation was
4 evaluated as a risk factor for cardiac toxicity?

5 DR. SHAK: Chest radiation was evaluated --

6 DR. DOROSHOW: Left chest wall irradiation, not
7 irradiation therapy as a whole?

8 DR. PATON: The data that we collected included a
9 history of radiation therapy, and when the questionnaire was
10 answered "yes" and the patient had left breast disease, we
11 evaluated that as being radiation therapy in the adjuvant
12 setting to the left side. We also included mediastinal
13 radiation in that assessment.

14 DR. DOROSHOW: In that assessment as separated
15 from the totality of patients getting radiation therapy, was
16 that a risk factor or was it not?

17 DR. PATON: It was not a risk factor in our
18 analysis.

19 DR. DOROSHOW: Okay, and could you tell us whether
20 you systematically evaluated whether or not the
21 reinstitution or the continuation of single-agent antibody
22 in patients who had had previous combination chemotherapy
23 and antibody was itself a risk factor for the development of
24 cardiac toxicity?

25 DR. PATON: Actually, the best setting to evaluate

1 that is in the roll-over study from the pivotal H0648g
2 study. We do have data on the number of patients from the
3 AC control arm. I think this is your question and please
4 correct me -- no, it is not your question?

5 DR. DOROSHOW: The question is not whether or not
6 there was reinstitution of antibody after patients had AC,
7 it is whether patients continued. Some of those patients
8 had had it before and continued antibody. The further
9 exposure to additional antibody, was that itself a risk
10 factor?

11 DR. PATON: No, by and large, that was not a risk
12 factor. Many of the patients in the Herceptin plus AC
13 treatment group discontinued for reasons of the cardiac
14 event, and those patients who continued, their conditions
15 did not appear to worsen either by physician assessment,
16 changes in medication and so forth. So, the majority of
17 patients appeared to do well with reinstitution of antibody.

18 DR. DUTCHER: Dr. Margolin?

19 DR. MARGOLIN: I have some questions that are all
20 in some way or another related to HER2/neu expression on
21 breast cancer. The first question is that I think somebody
22 said that in the single-agent study there was an apparently
23 higher response rate, although I don't know what the p value
24 was for the small subgroup of patients who had undergone
25 transplant, and I wonder if anybody went back and found that

1 that correlated with the high level of HER2/neu expression
2 since those may be patients who presented with higher risk
3 multiple node disease.

4 DR. SHAK: I don't think we have the ratio of 2+
5 to 3+ in the patients with prior transplant.

6 DR. MARGOLIN: Okay. The other related question
7 is there has been a rumor around, and I don't know how far
8 around it has gone, that there is a possibility that
9 metastatic lesions are more likely to express HER2/neu than
10 primary lesions. I think most of us screen only the primary
11 blocks and I assume that is what was screened in this study.
12 So, it is sort of a two-part question. I wonder if there is
13 any validity to that. Then, the second part is that at some
14 point I guess we are going to have to talk about the
15 screening test for HER2/neu positivity that is going to be
16 recommended for patient treatment selection, and the
17 difference between the outcomes of patients who were 3+
18 positive and patients who were 2+ positive.

19 DR. SHAK: Dr. Slamon, could you address the issue
20 of HER2 positivity?

21 DR. SLAMON: To my knowledge, there have been two
22 large studies looking at metastatic and primary lesions, and
23 there is no difference between metastatic lesions versus
24 primary lesions. I have heard the same rumor, but when you
25 look critically at the data that is published, as well as

1 some of the banks that people have, and we have a pretty
2 extensive bank also, that doesn't appear to be the case.
3 What is in the primary is in the metastasis. If it is a
4 single copy, it remains a single copy. If it is multiple
5 copy, it remains multiple copy at the same level.

6 DR. DUTCHER: Dr. O'Leary?

7 DR. O'LEARY: Yes, I would like to follow-up on
8 some of these issues having to do with getting the patient
9 into the study in the first place. I apologize if they seem
10 not germane but they may be relevant to the meeting on
11 Friday as well.

12 How many different sites -- not meaning body sites
13 but clinical sites, did the initial biopsy materials come
14 from for evaluation by the core laboratory?

15 DR. SHAK: For the vast majority of patients, the
16 analysis at the core laboratory was done on the original
17 tumor blocks from the primary diagnosis.

18 DR. O'LEARY: Right, but I am asking how many
19 different hospitals or medical centers had these blocks been
20 originally --

21 DR. SHAK: I don't know the exact number but I am
22 sure very many.

23 DR. O'LEARY: One of the things that affects the
24 ability to assess things immunohistochemically is
25 differences in fixation protocols, time in which things

1 remain in fixative. Was there any evidence of heterogeneity
2 from one site to another in the percentage of patients whose
3 tumors appeared to be HER2 expressors?

4 DR. SHAK: Our pilot studies identified some of
5 those same concerns with regard to slides. So, it was for
6 that reason that with regard to this study we requested
7 original tumor blocks and, therefore, at the core laboratory
8 sectioned and stained them in a reproducible manner.

9 DR. O'LEARY: But that handles the determination
10 after its gotten into the paraffin block, and
11 immunohistochemistry is a total test system in which the
12 treatment of the tumor prior to the time that it hits
13 paraffin is also important in some cases in determining
14 immunoreactivity. In particular, because the test system
15 that you used in this study is different than the test
16 system coming up on Friday, and because that won't be
17 assessed against original patient response data it is really
18 vital to understand, to the degree possible, whether any of
19 these sort of pre-analytic factors can be discounted.

20 DR. SHAK: The pre-analytic factors, as I said,
21 were not controlled but I guess the good news here is that
22 we did, in fact, simulate what will likely be real-world
23 testing as we go forward.

24 DR. O'LEARY: The second set of issues is that in
25 real-world testing sometimes people will end up using

1 different tests than the ones that FDA may have approved to
2 go into patient selection. I mean, we heard a letter, for
3 example, from a FISH laboratory and this is popular in some
4 places. There are a number of different antibodies against
5 HER2/neu. Have you explored any of these in your
6 investigations?

7 DR. SHAK: We have no data on the use of FISH or
8 any of those other technologies.

9 DR. O'LEARY: Okay. And, the last question is
10 sometimes in patients that present with metastatic disease
11 assessments are being made on the basis of cytologic
12 preparations, fine needle, and the question is has fine-
13 needle aspiration as a source of material ever done in the
14 course of any of these investigations?

15 DR. SHAK: I am sorry, could you repeat that?

16 DR. O'LEARY: Were fine-needle aspiration
17 specimens used in the determination of immunoreactivity in
18 any of these cases, or were they all regular biopsy tissue
19 blocks?

20 DR. SHAK: In my recollection, the vast majority
21 were tumor blocks. There were fine-needle aspirates but the
22 core laboratory tested their procedure with regard to those
23 fine-needle aspirate samples as well.

24 DR. O'LEARY: Thank you.

25 DR. DUTCHER: Last question, Dr. Schilsky?

1 DR. SCHILSKY: Maybe I can squeeze in two
2 questions. First an efficacy question, in the randomized
3 trial, and specifically in the paclitaxel portion of the
4 randomized trial, it is somewhat striking that the response
5 rate to paclitaxel alone in a group of patients getting
6 essentially first-line chemotherapy for metastatic disease
7 is 17 percent. It is also striking that when you add
8 Herceptin which by itself has very little activity in
9 metastatic disease, albeit in a more advanced patient
10 population, the response rate zooms up to 41 percent. So, I
11 am wondering if you could help us interpret those data, both
12 with respect to why is the response rate so low to
13 paclitaxel alone, and why is it so much better when
14 Herceptin is added.

15 DR. SHAK: Dr. Norton, would you like to address
16 this?

17 DR. NORTON: The answer is that these are the
18 data. I mean, this is what happened. And, the nice thing
19 is that it corroborates what was seen in preclinical
20 systems. I mean, there was true synergy, not just an
21 additive effect. The biochemical mechanisms for this still
22 remain obscure but it is a major component of our program to
23 try to figure that out. But, clearly, in the preclinical
24 systems there was synergy between these agents, not just
25 additivity. I think the clinical data that you see here

1 really substantiates that.

2 DR. SCHILSKY: The synergy may explain why it is
3 better when you add Herceptin but why is it so bad with just
4 paclitaxel?

5 DR. NORTON: Again, you know, this is why one does
6 randomized trials, because you can't anticipate what the
7 response rates are going to be. As Rich Simon told me many
8 years ago, you can't argue with a p value. You know, the
9 fact is that these were very poor prognosis patients, as you
10 can see. Many of these patients really had extensive
11 therapy in the adjuvant setting and very poor prognostic
12 factors, and were quite sick with a lot of disease, and so a
13 very low response rate to paclitaxel in that very sick
14 patient population is not totally unexpected.

15 DR. SCHILSKY: If I can just ask one other
16 question about the cardiac toxicity because I still don't
17 have a real good sense of just how sick the patients were,
18 particularly those who were on Herceptin with AC. You
19 showed us data about the incidence of cardiac toxicity at
20 the time it was diagnosed and at the time after treatment.
21 But how bad did it get? In other words, after it was
22 diagnosed it might have gotten worse before it got better.
23 So, do you have any data on the worst case, the worst
24 cardiac toxicity that was observed? And, for those patients
25 who improved, they all improved pretty much to some extent,

1 but those patients who had persistent clinically symptomatic
2 cardiac toxicity, how long did that last? Did it last for
3 the rest of their lives? And, on average, how long was
4 that?

5 DR. SHAK: Dr. Keefe, again, you reviewed the
6 medical records for all of these patients.

7 DR. KEEFE: You will hear more information about
8 the worst point coming up, but it was, in fact, very similar
9 to the presentation. Most of the people were symptomatic at
10 rest, not constantly necessarily. Some did transiently get
11 worse and then got better. Overall, most of them did
12 improve substantially, and it was the breast cancer that
13 further interfered with the quality of their life. There
14 were, particularly in the Herceptin plus AC arm, some
15 patients that had real significant heart failure despite
16 therapy.

17 DR. DUTCHER: Thank you. What is your pleasure?
18 Break or keep going? Break? Short break, five-minute
19 break.

20 [Brief recess]

21 DR. DUTCHER: I think that we will begin.

22 **FDA Presentation**

23 DR. JERIAN: My name is Susan Jerian, and I am
24 pleased to present the FDA perspective on the biologic
25 license application for Herceptin, submitted by Genentech.

1 [Slide]

2 This BLA was filed May 4, 1998, and I just want to
3 go through briefly the series in which we have received the
4 data, which has been in a rolling fashion prior to that date
5 and, in fact, after that date. The efficacy supplement was
6 submitted May 22, 1998; safety update, July 7, 1998; another
7 efficacy update which, in fact, was information that we
8 requested on the additional patients that we asked the
9 sponsor to go back and analyze, who had not been analyzed
10 yet by the REC, was received just a week and a half ago. We
11 have completed those analyses in a week and we will present
12 those data here today. Additional information is being
13 requested by the FDA and we are awaiting that in order to
14 complete our review.

15 [Slide]

16 Genentech's proposed indication for Herceptin
17 reads as follows: Herceptin is indicated for the treatment
18 of patients with metastatic breast cancer who have tumors
19 that overexpress HER2.

20 [Slide]

21 The clinical studies that I will be concentrating
22 on and devoting 99 percent of my presentation to are 649,
23 the Phase 2 study with Herceptin as a single agent enrolling
24 222 patients, and 648, the Phase 3 study which was a
25 randomized, open-label study comparing chemotherapy with and

1 without Herceptin enrolling 469 patients.

2 [Slide]

3 There were additional reports from other studies
4 submitted to the BLA. There were 3 Phase 1 studies --

5 [Slide]

6 -- and 4 additional Phase 2 studies, 2 of which
7 still remain open to enrollment: 650 is a study of patients
8 receiving Herceptin as a single agent for first-line therapy
9 and 693 is the expanded access trial which you have already
10 heard about.

11 [Slide]

12 In my presentation, first I will provide you with
13 our review of our design and efficacy results for the Phase
14 2 and then for the Phase 3 study. Following this, I am
15 going to present an integrated summary of the
16 immunohistochemistry data as it relates to the efficacy
17 endpoints for the Phase 2 and Phase 3 study, and then an
18 integrated safety summary, finishing with my conclusions.

19 [Slide]

20 As you have already heard, this Phase 2 study,
21 submitted for consideration, is a single-arm study of
22 Herceptin, conducted at 54 sites internationally with a
23 target enrollment of 200 patients. Those patients enrolled
24 had metastatic breast cancer with measurable disease, and
25 had to have been positive on their tumor biopsies for

1 expression of HER2/neu protein by immunohistochemistry at
2 the level of 2+ or 3+. Patients must have progressed after
3 1 or 2 prior chemotherapy regimens for their metastatic
4 disease.

5 [Slide]

6 I will not go over this slide. You have already
7 received this information on the dosing.

8 [Slide]

9 Once a patient progressed on the study, they had 3
10 choices. They could discontinue treatment; they could
11 continue to receive Herceptin at the same dose with or
12 without chemotherapy or hormonal therapy; or they could
13 continue with Herceptin at double the dose with or without
14 chemotherapy or hormonal therapy. The additional therapy
15 was not given in a randomized fashion. It was simply left
16 up to the patient and their physician.

17 [Slide]

18 The primary endpoint was overall response rate,
19 which was defined as the sum of the complete and partial
20 responses which had been sustained for at least 4 weeks as
21 defined by the response evaluation committee. The secondary
22 endpoints were duration of response, time to progression,
23 time to treatment failure and survival.

24 [Slide]

25 You have already heard a great deal about the

1 response evaluation committee. I just want to point out a
2 couple of factors. I think the committee functioned quite
3 well and they stuck to their charter very consistently, and
4 did a very good job in assessing tumor measurements or scans
5 that were supplied to them and information that was supplied
6 to them in a consistent fashion.

7 Their character was somewhat limited in that they
8 could not call pleural effusions or ascites as malignant
9 effusions unless they had pathologic evidence of disease.
10 In addition, bone disease evaluations were somewhat limited
11 in that physicians were not requiring all sites of bony
12 disease unless patients were symptomatic at the sites. So,
13 we don't always have follow-up information on bony sites of
14 disease, except in the patients who have bone-only disease
15 where there was good follow-up. Finally, the size of
16 lesions was limited to 1 cm but there are many patients who
17 have lesions right at that cut-off. As you know, with CT
18 scans sometimes a 1 cm lesion can be missed on subsequent
19 scans, and at times that makes tumor assessment difficult.

20 [Slide]

21 There were 222 patients enrolled, and 213 of these
22 received treatment. If we look at reasons for treatment
23 discontinuation, 7 percent stopped due to death; 5 percent
24 by patient request; and 3 percent for adverse events; 1
25 patient was lost to follow-up.

1 [Slide]

2 Looking at the baseline demographics, you can see
3 that this group had a fairly high incidence of poor
4 prognostic factors. A third were ER/PR negative, had
5 progressive disease less than a year from their primary, and
6 two-thirds had positive lymph nodes at their initial
7 diagnosis.

8 [Slide]

9 In terms of prior therapy, one-third had received
10 1 regimen of chemotherapy for their metastatic disease, and
11 two-thirds had received 2 prior regimens. A quarter of
12 patients had received transplant.

13 [Slide]

14 Now we have the efficacy results, the primary
15 endpoint of overall response rate. This is the FDA analysis
16 based on our review of all the case report forms, data
17 submitted from the REC, in addition to adverse event
18 reporting. Our numbers, as I will point out in a minute,
19 differ slightly from the sponsor's and I will explain those
20 differences.

21 The overall response rate was 14 percent with a
22 median duration of response of 9 months. Of these, 3
23 percent of patients were complete responders, and we have
24 not been able to give a point determination for median
25 duration at this time due to immaturity of the data. The PR

1 rate was 11 percent.

2 [Slide]

3 The patients in whom there is a difference, and
4 actually one of these patients we do agree on now -- 2 of
5 the sponsor's patients whom they called CR, complete
6 response, we called partial response.

7 The reason for 1 patient is that she had a
8 persistent pleural effusion without evidence of congestive
9 heart failure, without evidence of ongoing infection, no
10 other etiology, and at her because evaluation it was deemed
11 as a site of metastatic disease but the REC couldn't call it
12 that because they didn't have the pathology.

13 One patient had bone metastases at enrollment but
14 was never imaged after baseline. So, we could not call her
15 a CR.

16 There were 3 patients that the sponsor called
17 partial responses that we called non-responders or, in fact,
18 were not evaluable for response. Some of that had to do
19 with technical reasons. One person actually received 4
20 separate regimens of irradiation therapy to 4 different bony
21 sites of disease over a 5-month period, and we felt that
22 that may be clinical evidence of progression and so we
23 didn't feel comfortable calling her a responder.

24 [Slide]

25 The median duration of response, as I mentioned,

1 was 9 months, and this gives you a little bit more of a feel
2 for the distribution of the data. For the complete
3 responders I have listed the individual durations, and for 4
4 of those patients, as you can see, we don't have complete
5 follow-up. Those are the asterisk patients.

6 [Slide]

7 Median time to progression was a secondary
8 endpoint, and was 3.1 months; time to treatment failure, 2.3
9 months; and median survival was 12.8 months.

10 [Slide]

11 This is a Kaplan-Meier plot of the survival for
12 patients in the Phase 2 study. The aqua lines are the 95
13 percent confidence intervals and the yellow line is the
14 survival curve. Basically, this is not a comparative study
15 so we really can't say anything more than that this is
16 simply the survival for this population.

17 [Slide]

18 So, in summary of the Phase 2 study, the overall
19 response rate was 14 percent, with a median duration of
20 response of 9 months, and a median survival of 12.8 months.

21 [Slide]

22 I am not going to go into too much of the study
23 design for the Phase 3 study since you have heard a great
24 deal about it. I will mention that patients were randomized
25 by geographic region, metastatic site and prior

1 anthracycline therapy. Also, I will refer to Taxol as
2 paclitaxel but I think Taxol is maybe known to more people
3 than paclitaxel.

4 [Slide]

5 Patients enrolled in this study were to have
6 metastatic breast cancer with measurable lesions. Again,
7 they had to be 2+ or 3+ positive by immunohistochemistry,
8 and have received no prior chemotherapy for metastatic
9 disease. Patients could have brain metastases if they were
10 stable and treated, and there was a general statement about
11 eligibility where patients must be suitable candidates for
12 receiving concomitant cytotoxic chemotherapy as evidenced by
13 screening lab assessments of hematologic, renal, hepatic,
14 and metabolic function.

15 [Slide]

16 I think you have already heard a great deal about
17 treatment.

18 [Slide]

19 When a patient progressed on this study, they had
20 2 choices. They could discontinue or they could enroll into
21 study 659, which was the extension study for 648. On 659
22 patients could receive Herceptin with or without
23 chemotherapy or hormonal therapy, and this was up to the
24 investigators, not in a randomized fashion.

25 [Slide]

1 The primary endpoint, as you have already heard,
2 was median time to progression, and secondary endpoints were
3 overall response rate, duration of response, time to
4 treatment failure, survival and quality of life. I will not
5 be commenting on the quality of life data at this point
6 because our analysis is not complete.

7 [Slide]

8 I want to take a moment to discuss the differences
9 that occurred in the trial as it proceeded in terms of study
10 design. You have already heard some of these things
11 mentioned in that the original protocol was modified in
12 order to increase enrollment and make it more attractive to
13 breast cancer patients to participate in the study. As you
14 know, Taxol was added as an option for chemotherapy.

15 As far as immunohistochemistry staining, initially
16 one antibody was used, the 4D5 antibody which is the parent
17 antibody to Herceptin. Subsequently another antibody, CB11,
18 was added and patients could be positive with either/or
19 antibody.

20 Bone-only disease was initially not included, and
21 subsequently allowed provided lesions were lytic and
22 measurable in 2 dimensions. Brain metastases were not
23 initially allowed and subsequently included if patients had
24 received treatment and had stable metastases in the brain.

25 [Slide]

1 Cardiac assessment, as you heard, was required at
2 baseline but not subsequently, and then further amended, as
3 you heard, after that. Laboratory cut-offs were defined
4 clearly in the beginning and subsequently eliminated. The
5 statement that I read to you earlier was put in its place.
6 Tumor assessment time points were increased by a few weeks
7 and that is somewhat relevant to time to progression
8 determinations. Initially there was no crossover study, the
9 659 study. That was added to allow breast cancer patients
10 who wished to receive Herceptin the opportunity to do so
11 after they had progressed if they were on the control arm.
12 Subsequently that was put in effect.

13 [Slide]

14 I want to point out that some of these changes
15 lead to issues that are relevant to the analysis of the
16 data. First, the patients treated with paclitaxel had very
17 different prognostic factors and, as you have already seen,
18 were a different population. Therefore, we had to rely
19 heavily on subgroup analyses.

20 Eligibility criteria were broadened considerably.
21 There was a lack of baseline cardiac data for all patients.
22 For some patients we did have it, but that made assessment
23 of risk factors for cardiotoxicity very difficult.

24 The survival analysis is limited by the fact that
25 patients did cross over and received Herceptin. So, we

1 can't say after the crossover that the effect was solely due
2 or not due to Herceptin.

3 [Slide]

4 There were 469 patients enrolled in 118 sites.
5 Most sites had less than 5 patients enrolled. Five patients
6 were not treated, 2 on the Herceptin arm and 3 on the
7 control arm.

8 [Slide]

9 These are the figures for enrollment. The first 2
10 rows are Herceptin plus chemotherapy and chemotherapy alone.
11 You can see equivalent enrollment basically. Then, for the
12 subgroups, AC-Herceptin, AC alone, paclitaxel-Herceptin and
13 paclitaxel alone. As you can see, about 40 percent of the
14 patients received Taxol and 60 percent AC.

15 [Slide]

16 The data that we have received, which has an
17 earlier cut-off, shows that 33 percent of patients had
18 enrolled into this extension study. Most of those are from
19 the control arm. We haven't received the updated data.
20 There are additional patients who have been enrolled since
21 that time.

22 [Slide]

23 There were 11 patients whom we categorized as
24 early deaths in that they died within the first 30 days of
25 the study. In our analysis of cause of death not due to

1 Herceptin, 7 were in the Herceptin arm and 4 in the control
2 arm. These patients in general were extremely ill at entry
3 and, in many cases, did not meet the "spirit" of the patient
4 selection criteria.

5 [Slide]

6 You have seen this data already on the baseline
7 demographics for the randomized groups -- Herceptin plus
8 chemotherapy versus chemotherapy alone, so I am going to go
9 on to the next slide.

10 [Slide]

11 It is quite balanced between the 2 groups. This
12 is prior therapy.

13 [Slide]

14 The main difference I want to point out is when
15 you compare those patients who received AC therapy versus
16 those who received paclitaxel. There are marked differences
17 in prognostic factors and prior therapy. The number of
18 patients who had positive lymph nodes is nearly doubled.
19 More patients had mastectomy. This is all increased in the
20 paclitaxel group. Nearly double the number of patients who
21 received prior adjuvant chemotherapy, and no patients in the
22 AC group received transplant, whereas 18 percent of the
23 paclitaxel patients had.

24 [Slide]

25 Sites of metastatic disease was a stratification

1 factor, however, the definition that the sponsor used
2 differed somewhat from what we interpret as standard
3 practice in clinical trials in oncology in that lymph node
4 disease, distal lymph node, supraclavicular nodes were
5 classified by the sponsor as visceral disease. We classify
6 that as soft tissue or superficial disease. So, we repeated
7 the analysis just to ensure that those factors were
8 comparable throughout and, in fact, they were on our
9 reassessment.

10 [Slide]

11 Non-protocol defined chemotherapy or hormonal
12 therapy was considered a protocol violation on this study.
13 There was a slight imbalance in that more patients on the
14 control arm received such therapy, primarily cytotoxic
15 chemotherapy, and this was a variety of regimens that the
16 investigator chose to give to the patient.

17 [Slide]

18 We also looked at possible differences in
19 cumulative dose, and the most striking data was for the
20 paclitaxel groups where the median number of cycles was
21 greater by 1 in the paclitaxel-Herceptin subgroup compared
22 to Taxol alone, and the number of patients who received more
23 than 6 cycles of chemotherapy was increased by 9 percent.

24 [Slide]

25 I just want to comment here briefly that there is

1 some evidence that there is a paclitaxel-Herceptin drug
2 interaction in that the serum concentration of Herceptin is
3 increased in patients who received paclitaxel compared to
4 Herceptin patients from alternate studies who received
5 Herceptin alone. This is associated with decreased
6 clearance. This was seen in the preclinical studies in
7 monkeys and seen in humans in the clinical study.

8 [Slide]

9 We also looked at the data in terms of why
10 patients chose to stop therapy, and one element that stood
11 out was adverse event as a reason in patients in the AC-
12 Herceptin arm, 17 percent compared to 1 percent of patients
13 in the AC arm, and also slightly increased in the
14 paclitaxel-Herceptin arm versus Taxol. Discontinuation of
15 therapy on this slide refers to discontinuation of
16 Herceptin, discontinuation of Herceptin and the
17 chemotherapy, or discontinuation of the chemotherapy.

18 [Slide]

19 On this slide we looked at discontinuation of
20 Herceptin independent of chemotherapy, which is why we don't
21 have the 2 control arms here. We see that adverse events
22 still stand out as an imbalance between the AC-Herceptin
23 compared to the paclitaxel-Herceptin group.

24 [Slide]

25 The FDA analysis of the efficacy endpoints

1 consisted of a review of every case report form, the adverse
2 events, and incorporating standard oncology practice.

3 [Slide]

4 This is basically the curve that you already saw,
5 and it is the Kaplan-Meier estimate of time to progression
6 in all patients. The yellow line -- and, actually, the
7 color choice was independent of the sponsor; we both think
8 the same on this -- the yellow line is the Herceptin
9 patients and the green line is the chemotherapy alone
10 patients. What you see is that the curves separate early
11 and continue to stay separate throughout, and that there is
12 fairly complete data in that the curves go almost to
13 because, particularly the control curve.

14 [Slide]

15 And, pulling out the AC patients, we still see
16 significance with a p value of less than 0.001.

17 [Slide]

18 Pulling out the paclitaxel patients, the effect is
19 more impressive.

20 [Slide]

21 The specific numbers for median time to
22 progression for the Herceptin plus chemotherapy group, we
23 determined at 7.3 months compared to chemotherapy alone at
24 4.5 months.

25 [Slide]

1 Looking at the subgroups, there was an improvement
2 in the AC arms by 2.1 months and for the paclitaxel arms 4.2
3 months improvement in median time to progression.

4 [Slide]

5 The secondary efficacy points that I will discuss
6 are overall response rate, duration of response, and
7 survival.

8 [Slide]

9 Again, in determining response rate we looked at
10 all the case report forms and additional data that the
11 sponsor submitted on their analysis of 69 patients who
12 hadn't been seen by the REC. We found that in the
13 Herceptin-chemotherapy arm the response rate was 43 percent,
14 and in the chemotherapy alone arm 29 percent, with a p value
15 of 0.001.

16 [Slide]

17 Looking at the subgroups, the difference was more
18 striking for the Taxol-Herceptin compared to Herceptin alone
19 subgroup.

20 [Slide]

21 Median duration of response for the Herceptin plus
22 chemotherapy group was 9.3 months, and for chemotherapy
23 alone 5.9 months, so improvement there as well.

24 [Slide]

25 Here we see that the improvement is carried

1 through within the subgroups.

2 [Slide]

3 Looking at the survival of all patients treated in
4 the pivotal study, we have to keep in mind that the data
5 after a year are immature and it is difficult to come to
6 conclusions about median overall survival. If we look at
7 this curve, we would determine that it is the same because
8 the curves come together. If you look at 1-year estimates,
9 they are separate for a period of time prior to coming
10 together but, again, it is very difficult to come to any
11 conclusions because of the immaturity of the data.

12 [Slide]

13 This is what the curves look like for the AC
14 patients.

15 [Slide]

16 And, for the paclitaxel patients actually the
17 curves do remain separate.

18 [Slide]

19 So, in summary for the Phase 3 study, there is an
20 improvement in time to progression for patients on the
21 Herceptin arm, both overall, 2.8 months, and in the
22 subgroups, 2.1 months for AC-Herceptin and 4.2 months for
23 Taxol-Herceptin.

24 [Slide]

25 The response rate of patients treated with

1 paclitaxel was significantly improved by the addition of
2 Herceptin. The response rate of patients treated with AC
3 was not significantly improved by the addition to AC.
4 However, the absolute difference trended in favor of the
5 Herceptin arm.

6 [Slide]

7 The ability to make conclusions about the median
8 overall survival is limited because the data are not mature
9 at this time. The 1-year overall survival is improved in
10 the Herceptin arm, both overall and in the subgroups.

11 [Slide]

12 The treatment effect was greater in patients
13 enrolled and treated in the paclitaxel subgroups than in the
14 AC subgroups.

15 [Slide]

16 Now I want to go on and look at the efficacy
17 endpoints in light of patients baseline assessment for level
18 of HER2/neu protein overexpression, 2+ and 3+.

19 [Slide]

20 As I mentioned already, the initial antibody used
21 for screening was 4D5 which is the parent antibody to
22 Herceptin. It binds to the extracellular domain of the HER2
23 receptor. They subsequently added the use of antibody CB11
24 which binds to the intracellular domain of the receptor.
25 The PMA filed for test kit is a polyclonal antibody. It is

1 neither of these antibodies, and it binds to the
2 intracellular domain.

3 The indication that they are seeking for the test
4 kit is for the selection of patients to treat with
5 Herceptin. The assessment of the immunohistochemistry is
6 semi-qualitative on a scale of 0-3, where patients who are
7 2+ and 3+ are determined as positives. In the test kit
8 filed with the Center for Devices for licensing -- I just
9 want to mention briefly that there are patients with that
10 kit who tested as 2+ who would have tested negative by the
11 concordance study in the pivotal study. That is a point I
12 just want you to keep in mind.

13 [Slide]

14 This is the distribution of HER2 positivity by
15 level of expression and, as you can see, it is very
16 consistent. A quarter of patients were 2+, three-quarters
17 of patients were 3+.

18 [Slide]

19 We looked at response rate by level of expression,
20 and what we found in the Phase 2 study with Herceptin as a
21 single agent is that there were more responders percentage-
22 wise in the 3+ group than in the 2+ group, 17 percent versus
23 4 percent.

24 [Slide]

25 We then looked at response rates in the Phase 3

1 pivotal study, and we saw a similar effect where the
2 patients on the Herceptin-chemotherapy arm who were 2+
3 overexpressors had a response rate of 32 percent, which was
4 the same as the response rate on the chemotherapy alone arm
5 of 33 percent. But when we look at 3+ overexpressors, there
6 is a significant increase, 47 percent versus 27 percent.

7 [Slide]

8 We then looked at the data of the pivotal study in
9 terms of median time to progression, and we looked at 2+
10 patients versus 3+ patients. I think you can see here that
11 for the 2+ patients, whose data are shown on this slide, the
12 curves overlap, with a p value of 0.56.

13 [Slide]

14 On this slide are the 3+ patients, and this curve
15 is more reminiscent of the treatment effect that you saw
16 earlier in the slides that I showed for the pivotal study,
17 not separated out by 2+ and 3+, such that the curves
18 separate early and remain separate throughout.

19 [Slide]

20 If we can go back to the previous slide, if we
21 take the difference between these 2 curves --

22 [Slide]

23 -- and we compare it to the difference in these
24 curves, there is an interaction, and that is significant as
25 well, with a p value of less than 0.05.

1 [Slide]

2 Again, we did the same thing with survival. This
3 is the survival plot for the 2+ patients.

4 [Slide]

5 And, this is the survival plot for the 3+
6 patients.

7 [Slide]

8 So, in summary of the immunohistochemistry data,
9 there is a higher response rate among 3+ patients as
10 compared to 2+ patients treated with Herceptin alone as
11 second- or third-line therapy. Patients with tumor scored
12 as 3+ had higher response rates when Herceptin added to
13 chemotherapy compared to patients with tumors scored as 2+.

14 [Slide]

15 The addition of Herceptin to chemotherapy improved
16 the median time to progression by 4.1 months, and improved
17 survival among 3+ patients. The addition of Herceptin to
18 chemotherapy did not improve median time to progression or
19 survival for 2+ patients. There is a significant
20 interaction between the level of overexpression and the
21 effect of Herceptin on time to progression.

22 [Slide]

23 Now I want to turn to the safety data, and I will
24 be dealing with the Phase 2 and Phase 3 studies together.

25 [Slide]

1 We will look at Herceptin as a single agent,
2 Herceptin in combination with paclitaxel, and Herceptin in
3 combination with anthracycline plus cyclophosphamide.

4 [Slide]

5 You have already heard a considerable amount about
6 the infusional toxicity. We are in complete agreement with
7 the sponsor's assessment. Nearly half the patients
8 experienced one form or another of this toxicity. It
9 primarily occurs with the first infusion. Patients
10 experienced chills, fever, pain, sometimes pain at the site
11 of the tumor, asthenia, nausea, vomiting and headache.
12 Rarely hypotension occurred. These symptoms are self-
13 limited and easily treated with standard medications.

14 [Slide]

15 Now I want to turn to the cardiotoxicity issue.
16 We analyzed the data basically the same way that the
17 sponsor's cardiac response evaluation committee did, using
18 the same criteria of New York Heart Association
19 classification and ejection fraction. However, we looked at
20 the patient's worst status in our analysis.

21 [Slide]

22 This is a summary of the incidence of
23 cardiotoxicity in the subgroups of the pivotal study, and
24 the last column is the Phase 2 study of Herceptin alone.
25 The black shaded area is patients who experienced class III

1 or class IV events, and the red shaded area is patients with
2 less severe events, class I and class II. The sum of the 2
3 is the total percentage in each group.

4 For the AC-Herceptin group, the percentage is 28
5 percent; for AC alone, 7 percent; for Taxol-Herceptin, 11
6 percent; for Taxol alone, 1 percent. The Taxol alone
7 patient, I just want to note, actually had staphylococcal
8 endocarditis, and her ejection fraction was 71 percent, but
9 we did include her because she did have a severe cardiac
10 event.

11 The events that occurred in the AC-Herceptin arm
12 in general were more severe than those that occurred in the
13 AC arm. As you have already heard, some patients did
14 require dopamine, dibutamine. One patient actually
15 developed left ventricular dilatation, developed a thrombus
16 to her brain, and was left aphasic and, I believe,
17 hemiplegic.

6:00 ~~pm~~ [Slide]

19 The paclitaxel-Herceptin arm, if you compare it to
20 paclitaxel alone, also has a considerable increase in the
21 number of cardiac events though, as far as severity, they
22 tended not to be as severe as those in the AC-Herceptin arm.
23 We did see cardiotoxicity when Herceptin was administered
24 alone, although this was in a group of much sicker patients.
25 All but 2 of them had received prior anthracycline therapy

1 but those 2 patients had significant cardiac disease at
2 enrollment. So, it is difficult to sort out that data.

3 [Slide]

4 We were trying to look at the events in terms of
5 cumulative anthracycline dose received by the patients, and
6 we divided it into those who had received less than 300
7 mg/m² and those who had received 300-450 mg/m², which
8 actually would be the majority of patients, and those who
9 received higher doses, above 450 mg/m². At the lowest dose
10 group we saw 12 percent incidence overall of class III and
11 IV events compared to AC alone where we saw none. In the
12 mid range we saw 25 percent when Herceptin wa added to AC
13 compared to 3 percent with AC alone. In the higher dose
14 levels there was a smaller difference, 27 percent versus 20
15 percent.

16 [Slide]

17 Actually, the sponsor did this analysis too in
18 their submission, and we also did the same analysis. This
19 is comparing the cumulative anthracycline dose to the
20 proportion of cardiac events in the population overall. I
21 think most oncologists are used to seeing these curves. The
22 yellow is the Herceptin group and the green is the control.
23 These are only the AC patients. These do not include Taxol
24 patients.

25 As you can see, if you look in the vertical

1 dimension, there are marked differences even at the lower
2 doses. At the doses at which you would see most patients
3 treated, the minimum number of cycles of this barring
4 toxicity was 6 cycles, which was 360 mg/m², which falls
5 right about here. Some patients continued to receive more
6 than that. So, do see this sharp increase.

7 Now, the data further out -- these are much fewer
8 patients who received higher doses, but the point of this is
9 that the curve is shifted to the left for the Herceptin
10 group.

11 [Slide]

12 We assessed death due to cardiotoxicity as 2
13 occurring in the AC-Herceptin arm and 2 in the AC alone arm;
14 none in the Taxol subgroups. This could have been death due
15 to cardiotoxicity and breast cancer but, as was mentioned
16 already, it is sometimes difficult to differentiate the two
17 and sort it out, but we certainly felt that the
18 cardiotoxicity contributed significantly to the death of
19 those patients. One of the AC patients died after she
20 crossed over to the extension study and received Herceptin.

21 [Slide]

22 We also looked at past medical history for cardiac
23 disease, prior radiation therapy to the chest, age and we
24 really found no factor that was significantly associated but
25 certainly all could play a contributory role. It is simply

1 difficult to tell because we don't have enough data to say.
2 Dexrazoxane, as you know, was administered to some patients
3 but did not appear to prevent cardiotoxicity.

4 [Slide]

5 Now I want to move on to hematologic toxicity. In
6 evaluating the data that was submitted, the adverse event
7 listings do list leukopenia, neutropenia, and anemia as
8 events. However, some patients, if you looked on their
9 medication listing, may have received blood transfusions but
10 not have been recorded as being anemic, or required G-CSF or
11 GM-CSF but not necessarily listed as neutropenic.

12 So, when we analyzed the data we did a composite,
13 such that we looked at leukopenia related events and anemia
14 related events. For the leukopenia related events we looked
15 at leukopenia or neutropenia, making sure not to count
16 patients double if they had both recorded; use of G-CSF or
17 GM-CSF; and incidence of febrile neutropenia or neutropenic
18 sepsis.

19 For the anemia related events we looked at any
20 recordings of anemia, use of erythropoietin and any blood
21 transfusions that were administered. A blood transfusion
22 event was counted as one event no matter how many units were
23 administered.

24 [Slide]

25 What we see here is that in the AC-Herceptin group

1 the incidence of leukopenia related events was 67 percent
2 compared to 46 percent in the AC group. It was also
3 increased with the Taxol subgroups, 32 percent in Taxol-
4 Herceptin versus 24 percent.

5 For anemia related events there were also
6 increases, not so great but still present in the AC-
7 Herceptin compared to AC and Taxol-Herceptin compared to
8 Taxol alone.

9 [Slide]

10 We also noticed that gastrointestinal toxicity was
11 increased in the patients who received Herceptin and
12 chemotherapy compared to chemotherapy alone. Here you can
13 see that diarrhea is almost doubled in the AC patients and
14 in the Taxol patients. If you look at patients who received
15 Herceptin alone prior to crossing over within that study
16 after the progressed, the incidence was 27 percent.
17 Similarly, with abdominal pain we see increases in the
18 Herceptin groups.

19 [Slide]

20 There were increases in the incidence of
21 infection, 46 percent in the Herceptin plus chemotherapy
22 group versus 30 percent in the chemotherapy alone group.
23 The incidence in the single-agent study was 20 percent.

24 Neurotoxicity incidence was increased in the
25 Taxol-Herceptin group but our analysis reveals that this is

1 most likely related to the fact that these patients received
2 considerably more Taxol, but there was an increased
3 incidence of paresthsias, peripheral neuritis and
4 neuropathy. We can't necessarily attribute that to the
5 Herceptin.

6 [Slide]

7 So, in summary of the safety data, Herceptin alone
8 produces an infusional toxicity, cardiac toxicity and GI
9 toxicity. Herceptin plus chemotherapy also results in
10 infusional toxicity and increases of cardiac,
11 gastrointestinal, hematologic and infectious toxicities.

12 [Slide]

13 Now I am going to present my conclusions overall.

14 [Slide]

15 Conclusion number one, Herceptin is active as a
16 single agent in patients with metastatic breast cancer who
17 have progressed following one or more prior chemotherapy
18 regimens for metastatic disease.

19 [Slide]

20 Tumor responses can be durable, with a median
21 duration of 9 months, and are seen in visceral, soft tissue
22 and bone metastases.

23 [Slide]

24 Patients with tumors scored as 3+ in the Phase 3
25 study have a higher tumor response rate than those scored as

1 2+.

2 [Slide]

3 When administered as first-line therapy in
4 combination with AC or paclitaxel chemotherapy regimens,
5 Herceptin improves median time to progression by 2.8 months
6 overall compared to patients receiving chemotherapy alone.

7 [Slide]

8 A greater clinical benefit is observed by the
9 addition of Herceptin to paclitaxel than is observed by the
10 addition of Herceptin to AC chemotherapy.

11 [Slide]

12 In an exploratory analysis, clinical benefit from
13 the addition of Herceptin to chemotherapy was limited to
14 patients with tumors scored as 3+, as opposed to 2+, for
15 overexpression of HER2/neu protein by immunohistochemistry.
16 Patients who were 3+ had improved time to progression,
17 improved response rates and improved survival.

18 [Slide]

19 The 1-year overall survival is improved in the
20 Herceptin plus chemotherapy arm, however, the data are not
21 mature enough to assess the median survival at this time.

22 [Slide]

23 Moving on to safety conclusions, Herceptin
24 commonly produces an infusional toxicity which is self-
25 limited.

1 [Slide]

2 The addition of Herceptin to AC or to paclitaxel
3 chemotherapy results in a marked increase in the incidence
4 of cardiotoxicity.

5 [Slide]

6 Cardiotoxicity is frequent and severe in patients
7 receiving AC plus Herceptin.

8 [Slide]

9 The incidence of hematologic and infectious
10 toxicity is increased when Herceptin is added to AC or
11 paclitaxel.

12 [Slide]

13 Herceptin produces gastrointestinal toxicity
14 whether administered alone or in combination with AC or
15 paclitaxel.

16 [Slide]

17 For the last few conclusions, these address some
18 limitations of the development program. Only one schedule
19 of Herceptin administration has been studied. It is not
20 known if a shorter duration of therapy is equally beneficial
21 or provides an improved safety profile. Basically, on both
22 studies patients received Herceptin from the time of
23 enrollment until progressive disease. No other schedules
24 have been studied.

25 [Slide]

1 The combination of Herceptin with antineoplastic
2 agents other than AC and paclitaxel is primarily anecdotal.
3 It is not possible to make conclusions regarding the
4 efficacy or safety of such combinations at this time.

5 [Slide]

6 Because the baseline demographics of the patients
7 treated with paclitaxel are markedly different from those
8 treated with AC in the pivotal study, it is impossible to
9 make conclusions regarding the use of Taxol-Herceptin
10 compared to AC alone as first-line therapy for metastatic
11 breast cancer.

12 [Slide]

13 To pull together the limitations, only one dosing
14 schedule has been tested. These are not studies to
15 determine what is optimal first-line therapy for metastatic
16 breast cancer, other than in those subgroups studied within
17 the context of the protocols presented today. Selection
18 characteristics of patients who will benefit from Herceptin,
19 comparing 2+ to 3+ patients, is limited by the fact that
20 this wasn't prospectively designed into the study, but
21 certainly exploratory analyses are significant. Finally,
22 the assessment of cardiotoxicity is limited by the manner in
23 which the data was collected.

24 That completes my presentation.

25 DR. DUTCHER: Thank you. Before we entertain

1 questions, could you and perhaps Dr. O'Leary just comment on
2 what the issues are with the test kit, because I don't think
3 the members of the committee are really aware of the issues
4 in terms of who got tested with what.

5 DR. JERIAN: The PMA for the test kit -- first of
6 all, let me just say that the antibody used in the test kit,
7 as I mentioned, is a different antibody but because there
8 are not samples available from the clinical study to test
9 that antibody a concordance study was done with the
10 polyclonal antibody. The tissues obtained for that
11 concordance study were from a registry, NCI registry I
12 believe, tissue bank. Without getting into too much of the
13 detail of the PMA because that will be dealt with on Friday,
14 the concordance study showed fairly good concordance,
15 although there were these differences in patients who were
16 scored as 2+ by the polyclonal kit, the DAKO kit. Many of
17 those were not scored as positive by the studies used to
18 identify patients for this study.

19 DR. O'LEARY: So, basically, then the PMA that we
20 will be looking at on Friday does not bear a direct
21 relationship to the survival information being presented
22 today, but that this is an extrapolation use of sort of a
23 surrogate so that a question that is relevant to that and to
24 this -- you said that there were two antibodies used in the
25 study 4D5 and CB11. One of these two antibodies would

1 appear likely possibly to be more closely related in terms
2 of the epitope target than the other to the test kit
3 antibody. Is there a matrix that could be put up to show in
4 any of these tumors that might have been assessed using both
5 antibodies, both 4D5 and CB11, a concordance between those
6 two antibodies in the same laboratory?

7 DR. JERIAN: We attempted to look at that
8 actually, and one limitation we have in doing that analysis
9 is that there are far fewer patients who had the CB11
10 antibody test done. I hesitate putting that data up at this
11 point.

12 DR. O'LEARY: Can you give us some idea of what
13 the proportion was, what fraction used the 4D5 and how many
14 used the CB11, and how many had both?

15 DR. JERIAN: I am sorry, I don't have the numbers
16 with me right now.

17 **Questions from the Committee**

18 DR. DUTCHER: Questions for FDA from the
19 committee? Dr. Margolin?

20 DR. MARGOLIN: Just a very tiny question. When
21 you looked at the potential for imbalance in terms of the
22 randomization in the two groups, there were usual factors,
23 but did you look at the use of eridia? In bone-only
24 patients use of eridia might potentially alter the time to
25 detection of progression since that was one of the primary

1 endpoints?

2 DR. JERIAN: No, we didn't do that evaluation, but
3 I think that is a good point.

4 DR. DUTCHER: Dr. Schilsky?

5 DR. SCHILSKY: A couple of questions. I think the
6 points you bring out about the differences in response with
7 respect to intensity of staining are critical in helping to
8 frame a risk-benefit assessment, and I wonder if there is
9 any data from this study that helps to provide some ability,
10 just to have a sense of what the concordance rates are among
11 people who look at these slides. I don't do this but I
12 don't have any idea, for example, how difficult or easy it
13 is to discriminate 3+ from 2+ staining. You know, all of
14 this was done in a central reference laboratory but one
15 might ask if you took, you know, five pathologists and had
16 them look at all of the same material what would be the
17 agreement with respect to what is 3+ and what is 2+, just
18 using the antibody that was used in the study.

19 DR. JERIAN: Understood. As you know, with
20 immunohistochemistry staining, it can be very subjective
21 and, in fact, for the PMA kit there are standards submitted
22 for the 3+, the 1+ and the 0, but none for the 2+, and 2+
23 patients are difficult to determine; 1+ are difficult to
24 determine; 3+ are quite apparent and 0 is quite apparent.
25 But it is very difficult to know what to do with the 2+ and

1 what to do with the 1+, and who actually is falling into
2 which group.

3 DR. SCHILSKY: That strikes me as being
4 particularly important for a patient who might, you know, be
5 appropriate for AC with Herceptin and who has a 2+ tumor.
6 She would be exposed to lots of risk for toxicity and not
7 much benefit.

8 I have one other question for you about the
9 toxicity. You mentioned that in the Phase 2 study patients
10 who progressed had several options, one of which was to
11 continue to receive Herceptin at twice the dose that they
12 had been receiving previously. I am wondering how many
13 patients actually did that, and whether that sheds any light
14 about dose-response relationships and risk of cardiac
15 toxicity.

16 DR. JERIAN: A lot of those data are, you know,
17 anecdotal. Patients could receive a variety of regimens.
18 They may have received tamoxifen and Herceptin, 5FU and
19 Herceptin, CMF and Herceptin. It is very difficult to come
20 to any conclusion from that data regarding efficacy.

21 DR. DUTCHER: Dr. Simon?

22 DR. SIMON: Am I correct in saying we don't really
23 have information about whether Herceptin alters the
24 pharmacokinetics of Taxol or Adriamycin or cyclophosphamide?

25 DR. JERIAN: I am sorry, I didn't hear the last

1 part.

2 DR. SIMON: We know about the effect of Herceptin
3 on the pharmacokinetics of the chemotherapy drugs used?

4 DR. JERIAN: Well, as I mentioned, we may know
5 something about what it does to the Herceptin concentration.
6 It doesn't affect Taxol levels in the preclinical studies,
7 but those were not assessed in the pivotal study, and AC
8 levels were not assessed in the pivotal study. So, we don't
9 know what it does --

10 DR. SIMON: But preclinically there was no
11 indication?

12 DR. JERIAN: Preclinically there was no indication
13 of an effect on the chemotherapy agents.

14 DR. DUTCHER: Yes, Dr. Weiss?

15 DR. WEISS: Based on your look at the
16 cardiotoxicity data, do you have any thoughts as to when,
17 how or whether patients who were candidates for this agent
18 should be screened in any way prior to therapy, particularly
19 if the indication ever broadens to people without metastatic
20 disease? Is there something that a physician should be
21 doing before --

22 DR. JERIAN: That is one of the questions we have
23 for you!

24 [Laughter]

25 DR. WEISS: I was hoping you would answer it.

1 DR. JERIAN: You know, it is very difficult to
2 say. Actually, I will commend the sponsor for going back
3 and trying to get the because information on these patients,
4 and without that comparison it is difficult to say.
5 Certainly, monitoring needs to be in place on some level.

6 DR. DUTCHER: Was it being done in the open label?

7 DR. JERIAN: It is being done now.

8 DR. DUTCHER: Dr. Simon?

9 DR. SIMON: I just wanted to clarify whether I
10 heard your answer to Dr. Schilsky's question before. Did
11 you say with the kit that is coming up for review on Friday
12 the determination of who is 3+ is straightforward?

13 DR. JERIAN: It is rather straightforward, yes.

14 DR. SEIGEL: We probably have a concordance table.
15 I think what you are trying to point out is that there is
16 pretty high concordance at the 3+ level, and when you get to
17 the 2+ a significantly large number of them are 0 or 1+.
18 Some are 3+; some are 2+. Do you have that?

19 [Slide]

20 DR. JERIAN: This is actually going to be
21 presented by the FDA at the CDRH meeting. This is a
22 concordance table, and 3, 2, 1 0 refer to the
23 immunohistochemistry score. At the top you have the assay
24 used and the clinical study. A slide could either be
25 positive with CB11 or 4D5. On the other axis you have the

1 DAKO assay. If you look at the two italics numbers, those
2 are patients whose scores are 2+ by the DAKO assay but were
3 negative by the core laboratory clinical trial assay.

4 DR. SEIGEL: The reason that we emphasize that
5 second line in a couple of comments as developed in one of
6 the questions that we might ultimately get to tonight, is
7 that we can present the clinical data, of course, regarding
8 the first two columns -- response rates, if you were 3+ or
9 2+, and the relatively weaker evidence of benefit in 2+
10 patients, but we will not be able to write an indication for
11 those columns because that test is not developed throughout.
12 We can only write indications for the patients in the row,
13 and if one were to look at that 2+ row, you would have to
14 recognize that that includes a lot of patients who would
15 have been in the trial, but also a lot who would not have
16 been in the trial.

17 DR. JERIAN: I just also want to point out that
18 one of the reasons they brought forth the polyclonal kit is
19 that the other immunohistochemistry stains required
20 multiple, multiple steps and were very cumbersome to employ,
21 and the polyclonal kit apparently is less cumbersome in
22 methodology.

23 DR. SIMON: Do I understand the other part to Dr.
24 Schilsky's question, that you don't really have inter-lab
25 reproducibility data?

1 DR. JERIAN: I am sorry?

2 DR. SIMON: You don't have inter-lab
3 reproducibility data?

4 DR. JERIAN: They looked at inter-reader
5 variability. They they did assess all those points but I
6 don't have those data for you.

7 DR. DUTCHER: Yes?

8 MS. ZOOK-FISCHLER: As a patient rep, I am very
9 excited about the potential that Herceptin appears to
10 present, but it seems to me that the patient population was
11 a very sick population whose quality of life is already
12 quite compromised. Then I am hearing all of the potential
13 toxicities in addition to the cardiotoxicities. I wonder
14 whether there has been consideration of studying Herceptin
15 on women who are not quite so sick, or to limit it only to
16 women if they are that sick if they are overexpressing 3+.

17 DR. JERIAN: Yes, understood. I think the sponsor
18 certainly is planning on pursuing other studies. I think
19 that is quite an active area of consideration but we haven't
20 received any other, you know, complete studies, other than
21 what I have shown you today.

22 DR. LIPSCHULTZ: I just have a couple of
23 questions. One of your slides -- I just want to see if I
24 understand it correctly. We were looking at the incidence
25 of grade III and IV cardiac disease, in other words,

1 symptomatic left ventricular dysfunction, presumably. I
2 think I saw there that in the 301-450 group it was 25
3 percent in the Herceptin and AC group. Am I understanding
4 that correctly, that 25 percent of patients on that regimen
5 in the 301-450 cumulative anthracycline dose had symptomatic
6 left ventricular dysfunction or congestive heart failure?

7 DR. JERIAN: Right. That is of the total patients
8 treated at that dose.

9 DR. LIPSCHULTZ: Right, okay. I saw in the
10 original protocol that ejection fractions of 45 percent or
11 above were acceptable for inclusion in the study.
12 Oftentimes people think 45-55 as being somewhat depressed.
13 Do you have a feel for that, those that had baseline, were
14 any in that range when you reviewed the data?

15 DR. JERIAN: When we looked at the baseline
16 ejection fraction data that was provided for the patients
17 who had cardiotoxicity, again, a lot of that was missing for
18 the patients who didn't experience cardiotoxicity, and I
19 don't see any major differences between the subgroups of
20 patients who had cardiotoxicity. I think the mean was
21 around 60 percent, or something like that.

22 DR. LIPSCHULTZ: When you reviewed the data, in
23 the last 20 months or so, whatever, since cardiac problems
24 became apparent, was there an increased number that had
25 ejection fractions or other cardiac parameters -- I am just

1 trying to get a feel for this. You know, when I look at
2 that and see that for the Herceptin-AC patients only 50/143
3 had baseline, I am trying to get a feel for who these
4 patients are. Are these only the ones that had heart
5 failure? I guess the thing is that when I look at a rate of
6 25 percent and I know that in the AC group you have 3
7 percent, and in FAC regimens this is a factor of 10 higher,
8 you know, this is an enormous amount of symptomatic heart
9 disease. I am just trying to get a feel for it. It seems
10 that this may be a minimum for combined asymptomatic-
11 symptomatic LV dysfunction. I am trying to get a feel for
12 who these patients are that you actually have data on, or
13 they have data on.

14 DR. JERIAN: Not all patients were symptomatic.
15 Well, if they were III or IV they were symptomatic, but
16 there were some class I patients who were monitored by their
17 investigator, I assume, because they had a cumulative
18 anthracycline dose so that that investigator typically would
19 check the ejection fraction at that time point, and they
20 were evaluated and found to have a decreased ejection
21 fraction. That would be the practice of many oncologists.
22 But that practice did vary depending on the investigator and
23 the geographic region. There was one site in Germany where
24 they looked at fractional shortening instead of ejection
25 fraction. So, that is another factor that makes this

1 analysis difficult. It was very investigator dependent, and
2 you had to look at each individual patient as a unit of one
3 because one investigator would be more aggressive and the
4 next one may not be more aggressive in checking ejection
5 fractions in the absence of symptoms.

6 DR. SCHILSKY: As I understand it, this was a set
7 of samples from a registry, not from the patients in this
8 trial. Right? And the clinical trial assay was performed
9 and the DAKO assay, which is the one that is proposed to be
10 used in the future, was performed. So, there are 126
11 specimens that were 2+ by the DAKO assay and, of those, 16
12 would have been 3+ by the clinical trial assay. Right?

13 DR. JERIAN: That is right.

14 DR. SCHILSKY: So if, for example, a decision were
15 made to exclude from therapy with Herceptin all patients who
16 score 2+, then potentially 16/126 patients might be excluded
17 who might otherwise have benefitted because they were
18 actually 3+ using the assay done in the study.

19 DR. JERIAN: That is right. It is very difficult
20 to know what to do. I will comment on this data. The ratio
21 of 2+ and 3+ slides was 50-50, and then they extrapolated
22 that to what a normal population would be, which is 25-75.

23 DR. SEIGEL: Yes, let me just pursue that point.
24 If you are looking at fractions of that 126, you should
25 recognize that these were not all specimens from all

1 patients in the registry that would have been eligible for
2 the trial. They specifically selected for having a higher
3 proportion of samples that were positive to give this 50-50
4 ratio.

5 DR. SCHILSKY: What I am struggling with I think
6 is that, you know, since the assay isn't perfect, and if the
7 data are true that the only patients who benefit are those
8 who are 3+ in their staining, and since the toxicity risk,
9 at least with AC, is substantial, if one arbitrarily decided
10 to only offer this therapy to patients with specimens with
11 3+, then who might be left out who could potentially benefit
12 just based upon variability in the assay? That is the hard
13 part.

14 DR. DUTCHER: Dr. O'Leary?

15 DR. O'LEARY: Yes, I wanted to get back to that.
16 Can one renormalize this in some way to what the proportion
17 would have been in the trial population? Because the piece
18 of this that doesn't come out clearly, at least to me, is if
19 you make a cut-off at 3+ on the DAKO assay, and we assume
20 that the DAKO assay is perfect, then what proportion of
21 folks that would have benefitted or would have potentially
22 benefitted would we miss? Alternatively, if the cut point
23 were made at 2+, what percentage are potentially included
24 that should not have been included when one looks at this in
25 terms of the distribution of staining in the real population

1 as opposed to something skewed to look at the concordance
2 with a higher proportion of positive tumors?

3 DR. JERIAN: I am sorry --

4 DR. O'LEARY: Well, the initial look is if you
5 were to assume -- it would appear that around -- if you use
6 the 2+ cut-off, about 40 percent of the folks that would be
7 included as eligible for therapy would be folks that would
8 be negative, 0 or 1+ by the lab core assay. Alternatively,
9 if you just include 3+ it looks like you lose about a third
10 of the patients that would have been eligible for the lab
11 core assay. I think that is actually probably preserved in
12 the sense that the 0s and 1s isolate pretty well.

13 DR. DUTCHER: Dr. Margolin?

14 DR. MARGOLIN: My questions are biological and may
15 be best directed at Dr. Slamon. We have to decide whether
16 to approve this, with all sorts of caveats about the safety
17 of combining the drug. But, we learned that there seems to
18 be some interaction between expression or amplification of
19 HER2 and response to Adriamycin without Herceptin. We also
20 learned from this month in JCO that there might be some
21 important interactions with cisplatinum and HER2/neu in the
22 antibody.

23 So, I guess the question is would the scenario be
24 that in patients who are overexpressors when one gives some
25 Adriamycin as part of their therapy and then, as soon as

1 they fail, you give them either Taxol plus Herceptin, or
2 platinum plus Herceptin, or Taxol plus platinum and
3 Herceptin and at that point avoid the Adriamycin even if the
4 time to relapse is long?

5 DR. SLAMON: I think that you are right on the
6 money with some of the questions you are asking. The
7 Adriamycin interaction is real, I think, based on the data
8 that everybody has been showing, and the company was very,
9 very up front with the investigators and was on top of it
10 all along when it first started to happen. But I don't
11 think anyone has been saying that it can't be used
12 absolutely with anthracycline, it just needs to be used with
13 caution. I mean, the only thing I wanted to get out into
14 this discussion is, remember, I mean, those cardiac events
15 are real but HER2 overexpressing breast cancer in the
16 metastatic setting is a very deadly disease and it needs to
17 be weighed in that context.

18 Now, can you use Adriamycin with the antibody? I
19 think the answer is yes. I think it should be used
20 cautiously, as the recommendation, as I understood it, was
21 alluding. Are there better combinations? I think the
22 answer is very possibly yes, and that is something that the
23 sponsor is beginning to evaluate now. Should you use
24 Adriamycin? Do we always have to use Adriamycin? I think
25 that is something that we are not going to get out of this

1 trial. Why is it the eleventh commandment that everyone
2 with breast cancer has to be treated with Adriamycin?

3 DR. MARGOLIN: Well, maybe also you don't need the
4 Herceptin with Adriamycin. Maybe you need it with the other
5 drugs to get the interaction but with Adriamycin you already
6 have that interaction.

7 DR. SLAMON: While I absolutely agree with that, I
8 would still be somewhat concerned about the toxicity we are
9 seeing, even delayed, in patients who have had prior
10 anthracyclines. So, I think the phenomena are real. I
11 think the drug can be used with anthracycline but with
12 caution. I also think, without any hard data yet except for
13 the sort of interesting data in JCL, that there may be
14 better combinations, and better combinations up front.

15 **Open Public Hearing**

16 DR. DUTCHER: If there are no further questions
17 for FDA, I think we should move along. We do still have
18 five more minutes of open public hearing. Is Mr. Erwin
19 here? Could you please identify yourself and your
20 associations, as well as your financial support?

21 MR. ERWIN: Sure.

22 I am Robert Erwin. Thank you for agreeing to my
23 request to speak after the data was presented. I have no
24 financial interest in Genentech. I am Chairman of the State
25 of California Breast Cancer Research Council, which spends

1 about 10-15 million a year in cigarette tax money on breast
2 cancer research. I work for a small private biotech company
3 which neither collaborates with nor competes with Genentech.

4 I am here today, representing the Marti Nelson
5 Cancer Research Foundation, and the cancer patients that we
6 assist to enroll in clinical trials to obtain access to
7 experimental medicine to evaluate off-label uses of drugs
8 approved for other indications, and to assess the potential
9 value of treatments unavailable in the United States.

10 My wife, Marti, died of breast cancer in 1994
11 after unsuccessfully attempting to gain access to the drug
12 now known as Herceptin. Since that time, Genentech has
13 demonstrated its moral leadership in the biotechnology
14 industry, and its compassion, by establishing an expanded
15 access protocol for Herceptin, whereby as of now over 400
16 women with advanced, HER2 overexpressing metastatic breast
17 cancer have been able to obtain this drug in the realistic
18 hope of extending life, or at least improving its quality.

19 Although a scientist might not call these cases
20 significant and refer to them as anecdotal, the benefit
21 experienced by each individual who was helped by this
22 protocol was as clinically real as the benefit experienced
23 by any individual in the pivotal studies.

24 The data presented today, in my opinion, speak
25 clearly, and there is no doubt that this drug should not

1 only be approved, but should become a part of the standard
2 of care for HER2-overexpressing metastatic cancer. The
3 patient groups that we work with tend to be quite aggressive
4 and we extrapolate aggressively from early stage data. We
5 would be very likely to recommend Herceptin plus Taxol over
6 AC as first-line therapy for HER2-expressing metastatic
7 breast cancer.

8 Two very important questions remain, however.
9 One, why has it taken so long to get to this point when it
10 was so clear to so many people in 1994 that this drug could
11 extend life?

12 I believe that something is wrong with our
13 institutional approach to providing effective treatment for
14 cancer. We are not talking about a healthy population in
15 this regard but about people who are dying. When every day
16 counts we are losing years, as was illustrated in the early
17 slide showing the regulatory time line going back to the
18 completion of the Phase 2 study. The fast track is not fast
19 enough. The sacred cows of the research funding process and
20 the drug development and approval process are clogging up
21 the road and, in the absence of data suggesting actual
22 divinity, I think they need to be put back to pasture to
23 enable innovative researchers and companies like Genentech
24 to move more quickly, and move significant discoveries into
25 general use.

1 Those of you in the FDA know which experimental
2 drugs are working and which are not early enough to pull the
3 promising candidates to the process proactively and rapidly,
4 perhaps into pivotal Phase 2 studies. You also know which
5 combinations of as yet unapproved biologics have rational
6 medical promise but are unlikely to be tested in combination
7 for years to come.

8 How long do we have to wait to find out whether or
9 not Herceptin in combination with Theratope, or some other
10 proprietary biologic, can extend life beyond either alone?
11 Under the current system, it will be well into the new
12 millennium. Why? Disclosure of risk is essential, as is
13 monitoring for unexpected toxicity. Delays in access are
14 fatal.

15 The second question is why is Genentech the only
16 company to have an established practice of providing
17 expanded access to promising cancer therapeutics? Where are
18 Chiron and Biomira and Janssen and Bristol-Myers and ImClone
19 and Medarex, and all the other companies who plan to profit
20 from cancer? Those of you out there from the corporate
21 world who sell Taxol and Adriamycin, and other
22 chemotherapeutics, are selling products that usually benefit
23 less than a third and harm 100 percent of your customers.
24 People buy your products not because most of them benefit,
25 but because all of them hope for benefit, and your profit is

1 the same whether your customer lives or dies.

2 I believe that this truth carries with it a moral
3 and ethical mandate to rethink the status quo and factor
4 compassion into your operating practices, as Genentech has
5 done. And, it is not just the corporations who develop and
6 sell oncologic drugs that share this obligation. It doesn't
7 really matter whether your currency of choice is the profit
8 you might derive from the sale of marginally beneficial
9 products or the tenure that you have derived from the
10 tragically disappointing war on cancer. Everyone whose
11 profession exists because of the suffering of cancer
12 patients has a moral obligation to step up to the line and
13 deliver the best that science has to offer to people who
14 need it the most as rapidly as possible. This includes
15 insurance companies, managed care organizations, and the FDA
16 itself.

17 Herceptin may be the first drug for the treatment
18 of metastatic breast cancer that actually helps more people
19 than it harms, but I hope it won't be the last. With this
20 new generation of cancer drugs, expanded access is not only
21 a matter of altruism. Genentech has demonstrated that
22 everyone can win from expanded access and from a close and
23 constructive relationship between a company and the
24 community of people most affected by cancer. Genentech has
25 also shown that expanded access is compatible with good

1 science and good medicine.

2 I urge you to accept the challenge of Genentech's
3 leadership and remember that each individual is more than an
4 anecdote. Each person is a valuable, loving, loved and
5 irreplaceable individual. Expanded access for all of the
6 new generation of cancer therapeutics is what we need. And,
7 don't wait around until organizations like ours and the
8 broader coalitions of cancer activists engage you in this
9 issue. Do it now because it is the right thing to do.

10 As Marti was dying, I promised her that her death
11 would not be in vain. I intend to keep that promise. We
12 have only made a very small start. We are going to continue
13 because it is the right thing to do.

14 Thank you.

15 **Committee Discussion and Vote**

16 DR. DUTCHER: Thank you very much.

17 We are now going to consider questions regarding
18 this agent. We have heard a lot of information.

19 First, we will try to go through in order, but I
20 know that Dr. Weiss has to leave quickly and I want him here
21 when we talk about the cardiotoxicity issues. We will start
22 with the first question and then we will see where we go.

23 The first question is -- you can't hear me? Now
24 you can hear me? Okay.

25 We are going to be going through the questions, as

1 I said, except that if we get close to a certain time limit
2 we want the cardiologists here to discuss it. So we will
3 jump ahead. You have to leave at 7:30? We will be done.
4 We will be fine.

5 As a single agent, Herceptin produced objective
6 tumor responses in 14 percent of patients studied in
7 clinical trial H0649, with a median duration of 9.1 months.
8 The patients in this study had all received one or more
9 prior chemotherapy regimens with or without hormonal therapy
10 for metastatic disease. Responses were seen in a variety of
11 metastatic sites including visceral, soft tissue and bone
12 lesions. Herceptin, when administered as a single agent,
13 was associated with infusional toxicity commonly seen with
14 other monoclonal antibody therapies: fever, chills,
15 myalgias, back pain, tumor site pain, nausea, and flu-like
16 symptoms. This toxicity appeared to be self-limited and
17 controlled with medications and/or with adjustments in the
18 rate of the infusion. Diarrhea (32 percent), abdominal
19 pain, (27 percent), and stomatitis (10 percent) were
20 commonly seen and may be related to the known binding
21 characteristics of parent antibody of Herceptin, 4D5, to
22 normal gut tissues. Cardiotoxicity (7 percent) when
23 observed was most commonly manifested as heart failure, with
24 a decrease in the cardiac ejection fraction. It was more
25 often severe in nature and occurred in patients with and

1 without prior anthracycline exposure; although, those
2 without anthracycline exposure did have preexisting cardiac
3 disease. Anemia (10 percent) and leukopenia (8 percent)
4 were noted in this heavily pretreated population.

5 So the questions are three.

6 (a) Do the objective response data demonstrate
7 efficacy of Herceptin as second- or third-line single-agent
8 therapy of metastatic breast cancer?

9 (b) Is the toxicity profile of Herceptin
10 acceptable for use as a single agent in second- or third-
11 line therapy of metastatic breast cancer?

12 (c) Does therapy with Herceptin as a single agent
13 provide net clinical benefit for patients with metastatic
14 breast cancer when used as second- or third-line therapy?

15 So, who would like to take a stab at (a)?

16 DR. MILLER: I think the trial did show objective
17 evidence of efficacy in the Phase 2 trials. So, they met
18 the criteria put out by the trial.

19 DR. DUTCHER: Any other comments? And, in terms
20 of the toxicity profile for use as a single agent in second
21 or third line? Any comments? Dr. Doroshov?

22 DR. DOROSHOW: Let me take a stab. I think that
23 while the toxicity profile is acceptable, it is very
24 important, I think, to point out to everyone here that the
25 level of III and IV cardiac toxicity for Herceptin alone was

1 greater than for AC.

2 And, while we may very much want to have this
3 therapy available to us, it is really quite extraordinary,
4 and I believe that there is probably a lot about the biology
5 of this protein that we can learn with respect to this novel
6 toxicity that the antibody alone produces and, hopefully,
7 there will be a lot more study to make us understand that.

8 In essence, we are saying that this protein
9 produces a level of heart damage that is equivalent to
10 Adriamycin alone, which is a pretty remarkable thing in and
11 of itself, and the question really is the risk-benefit
12 analysis.

13 DR. WEISS: I would agree with that and I would
14 add, as to question (b), that I would give an answer of yes,
15 with the qualification that the committee consider
16 recommending some pretreatment evaluation, cardiac
17 evaluation of patients noninvasively in some way or other to
18 help avoid a catastrophic cardiac complication whenever
19 possible.

20 DR. DUTCHER: For use of the antibody alone?

21 DR. WEISS: Yes.

22 DR. DUTCHER: Okay. You know, we usually vote on
23 each question, Jay. So, I think what we will do is vote on
24 each of these parts. Is that what you want us to do?

25 DR. SEIGEL: I think if you discuss them all and

1 vote on (c), I think that will work.

2 DR. DUTCHER: Okay. Any other comment on (b)?

3 [No response]

4 On (c)? Does therapy with Herceptin as a single
5 agent provide net clinical benefit for patients with
6 metastatic breast cancer when used as second- or third-line
7 therapy? Dr. Vose?

8 DR. VOSE: I think we have all heard today what a
9 bad disease overexpression of HER2 breast cancer can be as
10 far as the overall outlook, and I think relative to what the
11 other options are for these patients, this is actually an
12 excellent choice as long as we do make sure that we know
13 that the baseline cardiac evaluation is done and that the
14 physicians are aware of these possible toxicities, and that
15 overall it does provide an excellent risk benefit.

16 DR. DUTCHER: Any other comments?

17 [No response]

18 All those who would vote yes on 1 (c), please
19 raise your hand.

20 [Show of hands]

21 Eleven, yes. We have 12 votes, so it is 11
22 voting. So zero, no.

23 The next question is with respect to Herceptin in
24 combination with chemotherapy, and particularly with
25 paclitaxel.

1 Protocol H0648 tested the use of Herceptin with
2 chemotherapy compared to chemotherapy alone as first-line
3 therapy in patients with metastatic breast cancer.
4 Chemotherapy consisted of an anthracycline, doxorubicin or
5 epirubicin, plus cyclophosphamide or, in patients who had
6 previously been treated with an anthracycline, paclitaxel.
7 The groups receiving the two different chemotherapy regimens
8 differed not only in prior therapy and study treatment but
9 also in response rate, survival, and toxicity profile.
10 Therefore, they are considered separately in questions 2 and
11 3.

12 Question two, when compared to paclitaxel alone,
13 Herceptin used in combination with paclitaxel chemotherapy,
14 at 175 mg/m² infused over 3 hours, was associated with a
15 greater median time to progression by 4.2 months, and a
16 higher 1-year survival rate, 61 percent versus 73 percent,
17 but no significant difference in median survival. The
18 patients studied had not received chemotherapy for their
19 metastatic disease, though they may have received hormonal
20 therapy, and they had received prior anthracycline therapy
21 in the adjuvant setting. In addition, a few patients had
22 received dose-intensive chemotherapy. Herceptin in
23 combination with paclitaxel was associated with infusional
24 toxicity as noted above. In patients receiving TH there was
25 an observed 11 percent incidence of cardiotoxicity as

1 compared with the 1 percent incidence in patients treated
2 with Taxol alone. The incidence of severe cardiotoxicity,
3 class III or IV, was 4 percent for patients treated with
4 Herceptin plus Taxol compared to 1 percent for patients
5 receiving Taxol alone. Other toxicities which appear to be
6 increased when compared to patients receiving paclitaxel
7 alone included: anemia, leukopenia, abdominal pain,
8 diarrhea, vomiting, and infections.

9 (a) Do the data regarding time to progression and
10 survival provide evidence of improved efficacy of the
11 combination of Taxol-Herceptin over Taxol alone for the
12 first-line treatment for metastatic breast cancer?

13 Who would like to comment? Dr. Schilsky?

14 DR. SCHILSKY: I would have to say unequivocally
15 yes. In fact, I think the data are quite striking and
16 perhaps the greatest demonstration of clinical synergy that
17 I have seen in any solid tumor therapy. It is quite
18 remarkable.

19 DR. DUTCHER: (b) Given that only patients who had
20 received prior anthracycline therapy were studied in these
21 regimens, if approved, should the indication be limited to
22 patients who have received prior anthracycline therapy? Dr.
23 Miller?

24 DR. MILLER: I don't think so. I mean, we are
25 concerned about the cardiotoxicity, and I don't think we

1 should mandate that. I mean, clearly this shows efficacy
2 even in patients who have previously been treated with a
3 very active drug. So, I do not think it should be limited
4 to patients who received prior anthracycline.

5 DR. VOSE: I would have to agree with that. I
6 think we can only actually get better results. So, I don't
7 think we should mandate that.

8 DR. DUTCHER: Okay. I agree.

9 (c) When compared to Taxol alone, does the
10 efficacy profile for Taxol-Herceptin provide sufficient
11 additional clinical benefit to outweigh the increased
12 incidence of toxicities, particularly infusional toxicity
13 and increases in cardiac, hematologic, GI, infectious and
14 neurologic toxicities?

15 I think the answer to this is yes. This profile
16 is certainly in favor of the combination.

17 DR. VOSE: I agree.

18 DR. DUTCHER: Dr. Margolin?

19 DR. MARGOLIN: The times to treatment failure are
20 integral of that and progression is still strongly favorable
21 for that combination.

22 DR. DUTCHER: So we should vote on (c). All those
23 who would vote yes for (c)?

24 [Show of hands]

25 Eleven yes and zero no.

1 Question three, when compared to doxorubicin 60
2 mg/m² or epirubicin 75 mg/m² plus cyclophosphamide 600 mg/m²
3 AC chemotherapy, Herceptin used in combination with AC was
4 associated with a greater median time to progression by 2.1
5 months, and a higher 1-year survival rate, 73 percent versus
6 83 percent, but no significant difference in median
7 survival. The patients studied had not received
8 chemotherapy for metastatic disease, although they may have
9 received hormonal therapy. Herceptin in combination with AC
10 therapy was associated with infusional toxicity. The
11 observed incidence of cardiotoxicity in patients receiving
12 AC plus Herceptin was 28 percent as compared to an incidence
13 of 7 percent in the AC alone arm. The incidence of severe
14 cardiotoxicity, class III or IV, was 19 percent in patients
15 receiving Herceptin plus AC compared with 2 percent in
16 patients treated with AC alone. Other toxicities which
17 appeared to be increased in incidence and severity when
18 compared to patients receiving AC alone include anemia,
19 leukopenia, abdominal pain, diarrhea, dyspnea, and
20 infections.

21 (a) Do the data regarding time to progression and
22 survival provide evidence of improved efficacy for the
23 combination of AC plus Herceptin over AC alone used as
24 first-line treatment for metastatic breast cancer? Dr.
25 Miller?

1 DR. MILLER: Similar to the previous discussion,
2 you know, it seems pretty clear that it does have benefit
3 over AC alone in the efficacy.

4 DR. DUTCHER: Any other comments? We agree?

5 (b) When compared to AC alone, does the efficacy
6 profile of AC plus Herceptin provide sufficient additional
7 clinical benefit to outweigh the increased incidence and
8 severity of cardiotoxicity, 28 percent versus 7 percent, the
9 increased incidence of hematologic, gastrointestinal, and
10 infectious toxicities and infusion toxicity? Go ahead.

11 DR. WEISS: Again, as with the agent alone, I
12 would say yes, but I think given the 4-fold cardiotoxicity
13 incidence with ACH versus AC, again, I think we might insert
14 a caveat about a noninvasive cardiac evaluation prior to
15 institution of therapy, if everyone agrees.

16 DR. DOROSHOW: I would like to present a different
17 view. I think that, in fact, Herceptin produces synergistic
18 cardiotoxicity with Adriamycin, and I am not at all sure
19 that the very modest clinical benefit, though real --
20 certainly the time to progression is real, if not for
21 survival, is really worth this synergistic cardiac toxicity,
22 in my view.

23 DR. WEISS: In saying what I said I was hoping to
24 avoid causing more damage to already seriously injured
25 hearts basically. I don't disagree with what you say but if

1 we do decide to say yes to the question, I think a higher
2 cardiac evaluation is important.

3 DR. SEIGEL: I would like some clarification on
4 that because, although there wasn't a vote and not
5 necessarily everyone spoke, I got the impression that there
6 was a general consensus that even patients getting single-
7 agent Herceptin or Herceptin with paclitaxel ought to have
8 prior cardiac evaluation. So, we could give additional
9 warning about the higher risk level.

10 DR. DUTCHER: I think the issues are that there is
11 something going on with the heart with the molecule by
12 itself, and the dosing of the Adriamycin in these regimens
13 is right on the cusp of when it starts to interact. So,
14 those are the issues. Dr. Schilsky?

15 DR. SCHILSKY: Well, I guess I share many of Jim's
16 concerns. In my mind, you know, in this patient population
17 there is only a 2-month improvement in median time to
18 progression, and you have to weigh that against the risk of
19 better than 1 chance or more that the patient will develop
20 significant cardiac failure.

21 I am also thinking of this in terms of the fact
22 that, sort of in contemporary times, relatively few women
23 would actually be getting an anthracycline-based
24 chemotherapy regimen for metastatic disease because the vast
25 majority would have already had an anthracycline as part of

1 their adjuvant therapy. So, in fact, if Herceptin were not
2 approved for use in combination with AC, I think relatively
3 few patients would be disadvantaged by that.

4 Then, there is the whole issue of the fact that a
5 proportion of patients probably don't benefit from the
6 addition of Herceptin at all to their chemotherapy. That
7 has to do with the whole issue of intensity of staining and
8 the variability of those data.

9 But, clearly, you know, one might be putting a lot
10 of patients at risk for cardiotoxicity with this regimen,
11 recognizing that the potential benefit is going to be
12 confined to a relatively small subpopulation of the total
13 group of patients who are, quote, HER2 positive. So, I have
14 a lot of concerns about this.

15 DR. DUTCHER: Dr. Lipschultz?

16 DR. LIPSCHULTZ: I have some similar concerns
17 about the issue of monitoring before therapy. I think in
18 spite the best efforts of everyone involved with these
19 studies, it is completely unclear to me what the real
20 incidence and extent of cardiac involvement is, and even
21 more so than that, really whether anything is effective as a
22 predictor of an adverse cardiac outcome, whether it be a
23 baseline ejection fraction, serial monitoring, other sorts
24 of things, we have no idea from this data whether any
25 particular type of screening would be worthwhile.

1 But I share the same concerns with this group as
2 to whether the quality of life balance is really clear in
3 terms of heart failure, for instance.

4 DR. DUTCHER: Dr. Weiss?

5 DR. WEISS: Yes, I do agree with what you said. I
6 think what I am trying to emphasize is that we would be very
7 hesitant to give this agent to someone with an ejection
8 fraction of 15 percent, or something. I think it is
9 important that we know what we are in for before we give
10 this potentially very dangerous combination. That is my
11 only point.

12 DR. LIPSCHULTZ: Oh, I agree. But usually what
13 happens in these sorts of situations is it is clear-cut when
14 someone has an ejection fraction of 15 percent, but when
15 that patient has an ejection fraction of 43 percent and
16 seems healthy otherwise, then you are in a dilemma in terms
17 of what you do with a magic number like that. And, it is
18 not clear from anything I have heard today that we are at
19 all able to deal with that.

20 DR. DUTCHER: Dr. Margolin?

21 DR. MARGOLIN: I am curious, from Dr. Seigel and
22 his colleagues, exactly what the vote to number 3 (b) -- how
23 that would influence -- you know, the drug presumably would
24 be approved but this would affect the package insert? Are
25 you really going to say this is not approved for use with

1 Adriamycin? What exactly are you going to do with the
2 information?

3 DR. SEIGEL: Well, I think the questions obviously
4 don't get too highly specific about the labeling because
5 what we would like to do is integrate your expert opinion
6 into what makes sense. It is unlikely, unless we heard
7 something that would say that, that we would write a
8 contraindication to use with Adriamycin. It may be that,
9 rather than have that in the listing of how to administer
10 regimens, that that regimen and its outcomes will almost
11 surely be described in the clinical pharmacology but may not
12 be listed as the others as a so-called recommended dosage or
13 administration. There are a lot of ways to go and,
14 depending on what we hear and if you have specific ideas
15 about it, we would like to know.

16 DR. MARGOLIN: It influences how we vote.

17 DR. SEIGEL: Pardon?

18 DR. MARGOLIN: It influences how we vote.

19 DR. SEIGEL: Yes.

20 DR. MILLER: And we have to sort of figure out
21 whether we are lumping or splitting. Whether or not we are
22 going to require that each different drug be looked at
23 separately and how it interacts, or whether we are going to
24 say that this drug is an effective drug and then let the
25 clinical scientists figure out how best to use it as long as

1 we document the toxicity and the risk-benefit ratio.

2 Abbie Meyers is not here so I will say what she
3 normally says. You know, the question if we write the label
4 too limited, it does, in fact, affect the potential patient
5 reimbursement issues. Also, the risks and benefits for one
6 person may be different for the other person.

7 So, I am sort of on the other end. I think that
8 we should request that further studies be done looking at
9 that, but that we shouldn't split and say you can use it
10 with this but you can't use it with different drugs.

11 DR. SEIGEL: So, if the labeling were to say, for
12 example, that Herceptin is indicated for use in second or
13 third line in metastatic, and then it is indicated for use
14 in combination chemotherapy -- now, typically chemotherapy
15 drugs labeling, as I understand it, although I am not an
16 oncologist and deal less with them, indicates the approved
17 regimens. But you are suggesting -- it sounds like you are
18 suggesting in this case you would simply say it is indicated
19 in combination with chemotherapy for first-line treatment of
20 adjuvant, in which case we wouldn't be restricting it, and
21 that would also open it up to all sorts of other
22 chemotherapies that haven't been studied. Or, we could say
23 it would be indicated in combination with paclitaxel, or we
24 could say it would be indicated in combination with
25 paclitaxel or --

1 DR. DUTCHER: Let's go back to where we were.
2 Okay? We are going far beyond -- let's just talk about
3 anthracyclines because some of us are old enough to have
4 taken care of patients with Adriamycin cardiotoxicity where
5 we couldn't do anything about it.

6 So, I think that the question is, you know, how
7 much of a problem is this? What do we need to decide to do
8 about either approving it for that use and/or building in
9 monitoring and/or trying to decide what this molecule is
10 doing to the heart. That I think is what we have to do
11 right now. Yes, Dr. Vose?

12 DR. VOSE: No, I think in this type of patient
13 population it really comes down to trying to look at the
14 risk-benefit ratio and the patients quality of life, and
15 does a 2-month improvement in time to treatment failure go
16 against a 28 percent cardiotoxicity rate that in some
17 patients was not reversible with medication, and their last
18 2 months or 3 months are going to be very bad?

19 So, I think that we should definitely have this
20 information highly available to the physicians so that they
21 can read that; so that they know what the risk-benefit ratio
22 is. Personally, I would say that no, it is not a good risk-
23 benefit ratio with this particular regimen in that
24 population.

25 DR. DUTCHER: Miss Fischler?

1 MS. ZOOK-FISCHLER: Well, that was pretty much
2 what I was going to say, but I would personally like to vote
3 yes, but I would somewhere like to see a caveat that the
4 oncologist prescribing it just keep in mind who the patient
5 is. If the patient is very ill and she will only have a 2-
6 month benefit, I would not like to see her quality of life
7 be diminished any further. But I wouldn't want to preclude
8 voting for it.

9 DR. DUTCHER: I guess the other question is, is
10 there a dose of anthracycline that is less than 350 mg/m² in
11 which we wouldn't see the same effect?

12 DR. DOROSHOW: Well, I think it is unlikely with a
13 compound that has a half-life of a week used in combination
14 with a therapeutic chemotherapeutic agent that has a half-
15 life of several days that it is ever going to be possible to
16 find a dosing schedule, unless these agents are very
17 disparately administered in which there is a potential for
18 interaction, whatever the molecular interaction is. In the
19 same way, I think it is going to be very difficult to define
20 a cumulative dose, either cumulative dose or schedule, where
21 that is going to be possible.

22 DR. SEIGEL: Well, it is certainly possible that
23 if one were to look at restricting the dose of one or the
24 other one might find that one could preserve efficacy and
25 decrease toxicity. That has not been looked at. For

1 example, the Taxol is given, as is discussed in a later
2 question, basically until progression of disease. The
3 lowest rate of toxicity for Taxol was noted in the single-
4 agent study -- I am sorry, I am talking about Herceptin here
5 -- and that may reflect the fact that it is least toxic in
6 that, but it also could reflect, in part, that those
7 patients had the shortest time to progression. They only
8 had a 2- or 3-month time to progression on average so they
9 only got Herceptin for a very limited period of time.

10 You know, there are a lot of questions still to be
11 answered. I hear what you are saying about not being able
12 to answer interaction questions, but it would be less
13 obvious to me that you couldn't answer whether there are
14 other less toxic but effective regimens.

15 DR. MILLER: Jay, can you remind us how we dealt
16 with this on the biologic committee on the other monoclonal
17 antibody that was approved, looking at it as approving it in
18 general or whether we looked at it combined with other
19 chemotherapy agents?

20 DR. VOSE: It was just by itself, Carole.

21 DR. DUTCHER: Well, we have had sufficient
22 discussion for that. We can vote on that. I mean, assuming
23 that there will be pretreatment cardiac monitoring, when
24 compared to AC alone does the efficacy profile of AC plus
25 Herceptin provide sufficient additional clinical benefit to

1 outweigh the increased incidence and severity of
2 cardiotoxicity and the increased incidence of other
3 toxicities?

4 All those that would vote yes?

5 [Show of hands]

6 Two. Two, yes.

7 All those that would vote no?

8 [Show of hands]

9 Eight, no.

10 Abstain? Ms. Beaman, did you vote? You voted no?

11 Nine, no; two, yes.

12 DR. DUTCHER: Number four, cardiotoxicity is a
13 serious adverse event which was increased in the Herceptin-
14 treated patients. Preclinical studies in monkeys given AC
15 plus Herceptin and Taxol plus Herceptin, or Herceptin alone
16 did not predict such events. Clinical studies, 648 and 649,
17 as well as all other studies conducted with Herceptin have
18 not been designed to adequately measure the rate of
19 cardiotoxicity, the risk factors for developing
20 cardiotoxicity, or the mechanism of cardiac damage. There
21 is insufficient information upon which to base conclusions
22 regarding the identification of patients who are most at
23 risk, the specific role that anthracycline therapy may or
24 may not have in the development of toxicity, and the rate of
25 toxicity in anthracycline-naive patients who do not have

1 preexisting cardia disease.

2 (a) Please discuss what limitations, e.g. baseline
3 characteristics of patients, dose, schedule of
4 administration, monitoring, discontinuation recommendations,
5 should be included in a label if Herceptin is approved for
6 use with anthracyclines.

7 Let's go to (b). Please discuss elements which
8 should be included in future studies designed to evaluate
9 cardiotoxicity.

10 Maybe the modification of (a) would be that it
11 should be able to show safety with anthracyclines. But I
12 think the real issue here is how are we going to get at more
13 information about the mechanism and the safe use of this
14 agent in terms of the heart. Dr. Lipschultz?

15 DR. LIPSCHULTZ: My suggestion would be that in
16 future studies that there be a centralized core lab to
17 improve the reliability of whatever cardiac parameters you
18 obtain, whether it be an ejection fraction -- there tends to
19 be tremendous variability in that when one looks at 100-plus
20 sites.

21 One should also consider several different types
22 of cardiac testing that help give a feel for mechanism of
23 injury, and definitions of what defines cardiotoxicity
24 should be part of it as well.

25 Then, you know, on the other part of this

1 question, it seems from what I have heard today that it is
2 still not clear what the mechanism is but if it is
3 anthracycline related, it is still unclear to me whether
4 patients who were treated with continuous bolus -- a few had
5 Zinecard -- but those are some things that may be worth
6 considering in subsequent studies.

7 DR. DUTCHER: Dr. Weiss?

8 DR. WEISS: I basically agree with that. I would
9 personally advocate some standard procedure for quantifying
10 LV function. Whatever is chosen; none are perfect. But,
11 certainly, one of the accepted model systems for 2D ejection
12 fraction is probably the most practical if you are going to
13 look at a lot of sites.

14 I agree with the notion of a central core lab. If
15 further investigations are going to be done, not clinical
16 use but investigations, a central core lab should be reading
17 and sorting these things out.

18 DR. LIPSCHULTZ: I will give you an example. We
19 just completed for the NHLBI a 10-year study of patients at
20 risk for cardiotoxicity in a different setting, and it was a
21 multicenter study, and shortening fraction of 31 percent,
22 which is basically an ejection fraction cut in half, and
23 when you compare the local measurement to a central core
24 remeasurement of the exact same studies of 21-51 percent --
25 very wide, and when you are dealing with relatively small

1 numbers like this and trying to really understand this, it
2 certainly behooves us to have some quality assurance similar
3 to what you were talking about with your receptor central
4 core labs. There are also quantitative ways to assess acute
5 myocardial injury that the FDA has approved that are
6 relatively noninvasive. We are using those on a variety of
7 pediatric POG and CCG studies in a national way, and they
8 seem to be easily standardized in another marker for injury.

9 DR. DUTCHER: Dr. Margolin?

10 DR. MARGOLIN: Perhaps the FDA can help the
11 sponsor design some very directed studies for defining a set
12 of pretreatment cardiac parameters that would allow presumed
13 safer treatment, you know, with central lab, and then some
14 very specific, precise, uniform monitoring, even, say, a Phase
15 2 study of Herceptin and Adriamycin or something like that
16 in a defined population of patients so that a post-marketing
17 report could be generated.

18 DR. SEIGEL: As I am sure most or all of you are
19 aware that when we head toward drug approval we have the
20 opportunity to negotiate with the company commitments to
21 address key issues. In that regard, and it doesn't come out
22 explicitly in these questions but you mentioned looking more
23 at toxicity and how to monitor it in the setting of use with
24 anthracyclines. What about use with other unknown or other
25 likely drugs to be used in this setting? Is that another

1 area where there is significant concern that we should be
2 getting toxicity data?

3 DR. DUTCHER: Dr. Doroshov?

4 DR. DOROSHOW: Well, I think there are two things
5 to be said. One is that if it is going to be used with
6 anthracyclines, irrespective of the preclinical data that
7 are available in terms of pharmacokinetics, it would seem
8 mandatory to know if there are any toxic interactions that
9 could be related to pharmacokinetic antibody interactions
10 that could lead to an enhanced cardiac toxicity with
11 Adriamycin. So, that is a simple thing to do. It really
12 ought to be done.

13 I think it is also true that since we don't know
14 the mechanism of the interaction either at the tumor cell
15 level or in the heart, these kinds of things really will be
16 required with agents that could potentially have cardiac
17 toxicity. Taxol is not a major cardiotoxin but together
18 with Herceptin we have results that are very significant,
19 and I think that you can't exclude potential -- that has to
20 be studied in humans because the preclinical models are not
21 available.

22 DR. WEISS: And, I think it is important to point
23 out one way or another post-marketing what we all now know,
24 that this is potentially a quite cardiotoxic agent, and that
25 it is very important to know what kind of ventricular

1 function you are dealing with before you give this to a
2 patient with or without the various agents under discussion.

3 DR. DUTCHER: In terms of other agents, I mean it
4 has acted very differently with Taxol or AC. So, I don't
5 know that you would know how it is going to behave in
6 combination with other chemotherapeutic agents. So, you
7 know, I don't think that there should be an onerous burden
8 of a Phase 1 with every chemotherapeutic agent by any
9 stretch, but I do think that there needs to be additional
10 information gathering as the drug is used more widely and in
11 combination with other agents. That just is prudent.

12 DR. WEISS: A possible suggestion of follow-up is
13 noninvasive studies over time, I don't exactly know how many
14 or how often, but some sort of follow-up monitoring would be
15 important to consider.

16 DR. SEIGEL: Let me solicit a little more advice
17 regarding the first part of this question, which deals less
18 with what studies might be done and more perhaps with what
19 might go into labeling. I gather, as I have noted before,
20 that you have indicated that there is a consensus that
21 patients ought to be pre-screened for heart failure and
22 probably with ejection fraction determinations, although we
23 have certainly heard loud and clear what we also see, which
24 is you can't determine from the database that those patients
25 are at higher risk. I guess the concern is that they may

1 have less reserve and, so, we haven't specifically heard but
2 I would like to hear, if anyone felt this, that patients
3 with any particular amount of heart failure at baseline
4 ought to be contraindicated or not treated. I would be
5 interested in your thinking about that.

6 Another thing, I guess, that I would like to think
7 through is what then ought to be recommended follow-up. You
8 do all of this; you get the information. Then, do you
9 simply follow the patient clinically for symptoms, or should
10 there also be recommendation for any further routine
11 evaluation even in the asymptomatic patient for cardiac
12 toxicity?

13 DR. WEISS: There might be a recommendation with
14 regard to heart failure, but if a person is having some
15 degree of heart failure, which the group could agree on,
16 class III or class IV failure, or whatever, that the drug
17 either be used with extreme caution or not at all.

18 I do agree with the need for some sort of
19 noninvasive follow-up monitoring over time. As I said, I
20 don't know how often that might be done, but I don't think
21 that the monitoring should stop once the drug has been
22 given.

23 DR. LIPSCHULTZ: I believe it is clinical practice
24 by most physicians that if a patient has clinical congestive
25 heart failure that they not continue to receive

1 anthracycline. I would continue to hold that true for this
2 situation as well.

3 DR. SEIGEL: Would you also say that patients with
4 clinical heart failure should not be begun on this regimen?

5 DR. LIPSCHULTZ: That is the usual practice with
6 anthracycline therapy as well.

7 DR. DUTCHER: Jay, I guess the only problem is we
8 don't really know what this drug is doing to the heart. So,
9 I think that that would be probably your gut feeling, but
10 you might have somebody who has had four different drugs,
11 you know, and they understand that it is a risk and they
12 want to have this treatment, and I don't think that that
13 should preclude it. I just think we have to get more
14 information. I mean, maybe it is HLA related; or maybe it
15 is Crest toothpaste related. We just need to find out what
16 it predicts for, and is it everybody; is it a certain group.
17 So. Okay, can we go on?

18 Question five revolves around schedule and
19 duration of treatment. In all studies, Herceptin was
20 administered weekly until disease progression. A shorter
21 duration of therapy may be equally efficacious. If
22 Herceptin is approved, what post-marketing commitments
23 should be made to verify that administration to time of
24 progression disease is optimal?

25 DR. SCHILSKY: It has to be studied in an

1 appropriately designed clinical trial. I mean, it may be
2 that a shorter duration of administration will not be
3 equally efficacious. The only way to find out is to do the
4 appropriate trial.

5 DR. VOSE: But I don't know that we need to
6 mandate that for them as part of a mandated post-marketing
7 study. I think that the field will do those studies
8 appropriately.

9 DR. SIMON: We don't know that information for
10 most chemotherapeutic drugs, and to really get that
11 information would be very difficult because it would require
12 essentially doing a therapeutic equivalence trial in a
13 setting where the size of the benefit is actually very
14 small. So, you would have to size it -- first of all, you
15 would have to only include responders probably in the
16 randomization, and then you would have to size it so you
17 could detect whether you were losing, say, half the benefit.
18 It would be a very, very large trial.

19 DR. DUTCHER: Okay. I think we did address some
20 of number six, which is about pharmacokinetics.
21 Pharmacokinetic data from the clinical and preclinical
22 studies suggest that following administration in combination
23 with paclitaxel, Herceptin serum concentrations are higher
24 compared to those following administration of Herceptin as a
25 single agent. This same effect is not apparent for the

1 combination of Herceptin with AC therapy. In addition,
2 unexpected toxicities have been observed which were not
3 predicted by preclinical testing. There is only anecdotal
4 data to date on the combination of Herceptin with other
5 anti-tumor agents. Given this information, if Herceptin is
6 approved, should its indication as a combination therapy be
7 limited to use only in those combinations whose
8 pharmacokinetic interactions have been studied in a
9 specific, prospective fashion?

10 DR. SEIGEL: We have received a lot of comments on
11 it. If there are more, they are welcome but I don't think
12 we need any more discussion.

13 DR. DUTCHER: Okay, question number seven is the
14 immunohistochemistry question. Going to the last two
15 sentences, in patients with 2+ overexpression -- let's see,
16 no, I am going to go up a sentence.

17 While neither study 648 nor 649 was designed to
18 determine the difference in clinical benefit between
19 patients whose tumors were 2+ and those whose tumors were 3+
20 by immunohistochemistry testing for HER2/neu protein
21 overexpression, exploratory analyses suggest that the
22 benefits conferred by the addition of Herceptin to AC or T
23 are largely or entirely seen in patients whose tumors
24 exhibited 3+ overexpression of HER2/neu in study 648. In
25 patients with 2+ overexpression, there was no suggestion of

1 benefit in time to progression, overall response rate, or
2 survival. The response rate to single agent Herceptin in
3 study 649 was also significantly lower for patients with 2+
4 overexpressing tumors as compared to those with 3+
5 overexpressing tumors.

6 (a) Given the known risk-benefit profile, should
7 the indication for single agent Herceptin as second- or
8 third-line therapy for metastatic breast cancer be limited
9 to those patients who are 3+ by immunohistochemistry
10 testing? Dr. Margolin?

11 DR. MARGOLIN: I think that given the fact that
12 the data we looked at were exploratory and not based on pre-
13 stratification, and the fact that there is still a pretty
14 big overlap in those assays between 2+ and 3+, we are not
15 ready to limit this indication to patients who are 3+.

16 DR. DUTCHER: Dr. O'Leary?

17 DR. O'LEARY: I would like to emphatically
18 disagree, and I would like to disagree because of looking at
19 the confusion matrix between the DAKO antibody and the test
20 data set, considering the fact that about 80 percent of
21 these tumors are expected to be not overexpressing.

22 If you were to include the 2+ in the DAKO assay
23 you would have about as many people showing up who would be
24 positive in the DAKO assay, 2+ and above, who were not in
25 the group shown to have clinical benefit as you would in the

1 group shown to have clinical benefit. If you restrict it to
2 3+, it looks like you probably would be expected to exclude
3 perhaps 20 percent of folks that might possibly benefit.

4 It seems to me that that lab interaction right now
5 and the fact that this has been validated against, you know,
6 sort of the wrong assay, and the principle of "do no harm"
7 in this case would suggest that if you use the DAKO assay
8 you are going to be including a lot of patients in therapy
9 for whom benefit has not been demonstrated.

10 DR. DUTCHER: Dr. Miller?

11 DR. MILLER: I agree with Dr. O'Leary. I think
12 that this drug should be used where we think it has the most
13 chance of being efficacious. So, I would use the patients
14 who are 3+.

15 DR. DUTCHER: Dr. Vose?

16 DR. VOSE: I have to disagree with that. I think
17 that there is enough question about the assays and I
18 wouldn't want to exclude 20 percent of patients that could
19 possibly get a benefit from this when we have put out all
20 these other stipulations as far as not using it with AC and
21 doing the cardiac monitoring, and doing everything else. I
22 think that would be a problem, to exclude that 20 percent of
23 patients given that the we have to really evaluate that.

24 DR. SEIGEL: I am sorry, 20 percent is which?

25 DR. VOSE: Well, using the numbers that you were

1 saying, that 20 percent of patients, if we just go with
2 using the 3+, we would exclude 20 percent of patients that
3 could potentially get benefit from the Herceptin.

4 DR. MILLER: Yes, but that is 20 percent of
5 patients who would be read as 3+ --

6 DR. VOSE: Right.

7 DR. MILLER: -- and 17-30 percent of those
8 patients would respond. So, you are actually benefiting 30
9 percent of 20 percent. It is a much smaller number --

10 DR. VOSE: I understand it is a smaller number
11 overall, but I think given the stipulations that we have
12 said and the fact that the test is not perfect and needs to
13 be further validated, I don't think it is proper to exclude
14 those patients.

15 DR. SEIGEL: If we go with 1+ we are excluding 5
16 or so percent of the people that were 3+ by the study assay
17 probably, and if we go with 2+ we would be excluding maybe
18 6, I guess. I guess we are really in the range of 3-5
19 percent of the patients. Is that okay, or should we just
20 not use a test?

21 By the way, we are not going to ask for a vote
22 here, and I should explain that these data will be presented
23 in considerably greater length and detail, with a lot more
24 time for discussion, to the device panel on Friday. We are
25 going to integrate all of that information. Having had you

1 suffer with us, if you will, or having had the benefit with
2 us of this extensive data, we really want to appreciate and
3 integrate your advice.

4 DR. VOSE: It just seems to me that it hasn't been
5 validated or not validated enough that we can answer this
6 question. I think it needs further study.

7 DR. MILLER: I think the device panel, on Friday,
8 is going to ask different questions than what you are asking
9 as a clinical panel here. I mean, I think the vote on that
10 would be much here than on Friday. I am going to be there
11 on Friday but I think this is the panel you want to ask.

12 DR. DUTCHER: Dr. Simon?

13 DR. SIMON: I think there are two aspects to it.
14 One is the aspect that Dr. Margolin was alluding to. In
15 general, it is dangerous to sort of say, well, post hoc I am
16 going to require demonstrating an effect in every subset.
17 In this case, however, it is not every subset; it is a
18 subset which, although it may not have been defined
19 prospectively, is a subset which is inherently relevant.
20 So, even though it is not a clear-cut situation, I feel,
21 given that it looked like there was not one iota of evidence
22 that there was a benefit of including the antibody with
23 chemotherapy in the patients who were 2+, that in itself
24 would start getting into issues of assay reproducibility.
25 So, I would say you probably shouldn't restrict it to 3+.

1 But then when you get to issues of assay
2 reproducibility, I think it even becomes more compelling to
3 restrict it to patients with 3+ because if you look at the
4 matrix that was put up there, if you look at the row that
5 corresponded to 2+, 12 percent of the patients in that row
6 were 3+. All the rest of them were either 2+, 1+ or 0+, and
7 there were many, many more of them who were 1 and 0+ than
8 there were who were 3+.

9 So, whereas you may say, well, yeah, if I included
10 the 2+ -- it really works with the 3+ patient and,
11 therefore, I want to do 2+ because I don't want to lose
12 those 12 percent, by doing that you are just including a
13 whole ton of women in whom there doesn't seem biologically
14 or empirically to be any benefit.

15 DR. VOSE: Do you think there are enough numbers?

16 DR. SIMON: There were 150-something women in the
17 second row.

18 DR. VOSE: Right. Do you think that is enough to
19 validate that assay?

20 DR. SIMON: Well, I think immunohistochemical
21 assays are notoriously unreproducible.

22 DR. VOSE: Right. That is the problem.

23 DR. SIMON: I mean, I believe that. I believe you
24 have that spread.

25 DR. DUTCHER: Why don't we let Dr. Shak make one

1 rebuttal comment? Be very brief.

2 DR. SHAK: Being very brief at this late hour, we
3 did point out the interaction but I want to reemphasize just
4 two points. Number one, it is an interaction and not a test
5 that excludes benefit, and that is very important. In the
6 study that was overall negative it would be inappropriate to
7 identify a subgroup that was positive and try to make a
8 claim for proof of efficacy.

9 The second point is that in the exploration, in
10 fact, there are examples of benefit in 2+ patients. It was
11 pointed out in the single-agent study that there was a 6
12 percent response rate. Well, those are real and meaningful
13 for those patients. Again, the confidence intervals around
14 that are large, and those could be a significant number of
15 women who have few other options in a very advanced setting.

16 [Slide]

17 Probably even more important is now a subgroup of
18 a stratum, namely the paclitaxel group. In the paclitaxel
19 group in 648 in the 2+ subgroup the response rate was 21
20 percent with Herceptin plus paclitaxel, and 11 percent with
21 paclitaxel alone.

22 DR. SIMON: That doesn't seem to agree with the
23 data that the FDA presented.

24 DR. SHAK: Well, the FDA presented data overall,
25 which showed that overall there was no difference in

1 response rates.

2 [Slide]

3 With regard to time to progression, again, there
4 is clearly evidence of a lesser magnitude of benefit but,
5 again, we would be cautious in concluding from this that it
6 would indicate that there was no benefit.

7 We would recommend, and I think it is what we have
8 recommended, that it be that the insert clearly state and
9 inform patients and physicians that it may be the case that
10 there are lesser magnitudes of benefit with lower levels of
11 HER2 overexpression. That would then allow within the
12 context of the overall information provided with benefits
13 and risks for individual treatment decisions to be made.

14 DR. DUTCHER: Thank you. Dr. Simon?

15 DR. SIMON: As a practical matter, given what was
16 shown on that slide in terms of the reproducibility of that
17 assay for 2+, the only way you are going to try to reclaim
18 the small potential gain is by including the vast majority
19 of patients -- I mean, more of them are going to be 1+ and
20 0+ than are even going to be 2+.

21 DR. DUTCHER: Dr. Schilsky?

22 DR. SCHILSKY: This is a tough issue, and I
23 brought his up earlier. I think under most circumstances I
24 would actually completely agree with Rich Simon's analysis,
25 but that depends on having a lot of confidence in the data

1 that we have at the moment and on that concordance chart
2 that was shown, which was based on specimens not even
3 derived from the trial.

4 I actually come down on the side of thinking it
5 would be a mistake at this point to restrict the use of this
6 to just the 3+ patients because I don't actually know what
7 3+ means. There are going to be other assay methodologies
8 that are available in the future, and I think that it is
9 going to take some time in the context of the prospective
10 use of Herceptin, with clearly defined assay methodologies,
11 to sort this all out, and it probably would not be wise to
12 limit it at this point.

13 DR. DUTCHER: Mixed reviews.

14 DR. SEIGEL: Let me ask another question which
15 isn't exactly here but is related to that. Is there a
16 relatively strong sense, if I read between the lines, that
17 if there were to be approval of this drug and of the DAKO
18 test kit, that there ought to be studies looking at it? We
19 heard in the comment period that there are studies of 0 and
20 1+ patients under way now. I don't know with what test kit
21 or what studies, but it seems like whatever is out there
22 clinically available for screening for overexpression, it
23 would be nice to have information as to extent to which
24 results from that correlate, if not with survival which
25 would require a randomized control, at least with response

1 rate outcome.

2 DR. DUTCHER: I think what you would like to see
3 is some kind of a kit so that you really could show
4 reproducibility in terms of multiple different people using
5 it because right now, you know, some people call another
6 pathologist and say, "is this positive or negative? Look
7 what I see." So, I am concerned that, you know, there is
8 going to be a lot of variability for a long time, but that
9 doesn't mean that we are not going to treat patients based
10 on that data. Dr. O'Leary?

11 DR. O'LEARY: My comment is that even if you
12 address the reproducibility issues perfectly, it is the fact
13 that the test kit that is being looked at is not the test
14 kit that was being used to determine clinical benefit. It
15 becomes a real issue here, and it would be awfully nice to
16 see a rather direct relationship established at some point,
17 assuming these are approved eventually, between the test kit
18 performance and the clinical responses of the patients
19 because this is a very, to me, unsatisfying surrogate.

20 DR. SEIGEL: Yes, in that regard, I would like to
21 put out a little bit of a public plea. In many cases, and I
22 can't speak specifically to this one, where studies are
23 done, and we have a lot of them, where therapy is dependent
24 on expression of a specific antigen, we ask, where possible
25 and storable -- or on circulating levels of cytokines or

1 whatever they are based on, that specimens from the patients
2 in the study be saved and stored so that subsequent tests
3 for whatever that is can be used to study those patients to
4 see what determinations are made on the basis of the results
5 of that test. So, just a little plea for anybody listening
6 or watching, and I certainly hope that that will more often
7 than not be the case.

8 One thing perhaps I should toss out just as a
9 flyer and, again, we are not on the verge of making
10 decisions without a lot more discussion, but in integrating
11 a lot of disparate comments, it occurs to me that one
12 possible approach would be to write an indication that says
13 that this should be used in patients who are strongly
14 positive overexpressors, and then to put into the labeling
15 both the data showing that 2+ with the study assay had -- I
16 wouldn't say not an iota but certainly not a lot of evidence
17 in terms of efficacy, and the data showing the lack of
18 correlation, with some commentary but leaving perhaps the
19 indication not specifically linked to a specific outcome or
20 a specific test, but with some commentary, as I said,
21 pointing out, as I think Dr. Simon has, the fact that
22 patients 2+ with DAKO are all over the board, for example.
23 Would that be a consistent way to address a number of the
24 concerns that we have heard?

25 DR. VOSE: I think that would be very acceptable.

1 If you say strongly positive, that would rule out those
2 patients --

3 DR. SEIGEL: And then provide the data --

4 DR. VOSE: Provide the data and then they could
5 make the decision.

6 DR. SEIGEL: Yes. Again, I am not saying we have
7 decided to do that, but that would be one of the options
8 that we might consider.

9 DR. DUTCHER: Dr. Norton?

10 DR. NORTON: Just as a clinician who has used the
11 drug a lot, it is almost a plea -- we had any number of
12 patients that tested 2+ with polyclonal antibodies that we
13 used, and then tested 3+ with the Genentech antibody and had
14 very good responses to therapy. I can just see, you know,
15 the panic of having a situation where somebody was excluded
16 from being able to treat these patients because of a very
17 subjective test -- 2+, 3+ -- 3+ usually is obvious; 0 is
18 usually obvious; 2+ can be all over the place and it is a
19 very subjective test, and I think, you know, putting this
20 sort of artificial numerical descriptor on it could be very
21 dangerous and very destructive.

22 DR. DUTCHER: I don't see any more pages for the
23 questions so I think we are dismissed.

24 DR. SEIGEL: Thank you very much.

25 DR. DUTCHER: We will be back here in twelve


1 hours.

2 [Whereupon, at 7:45 p.m., the proceedings were
3 recessed until 8:00 a.m., Thursday, September 3, 1998]

4 - - -

C E R T I F I C A T E

I, **ALICE TOIGO**, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.

A handwritten signature in cursive script that reads "Alice Toigo". The signature is written in black ink and is positioned above a horizontal line.

ALICE TOIGO