

sgg

AT

1

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

PERIPHERAL AND CENTRAL NERVOUS SYSTEM
ADVISORY COMMITTEE

ISSUE: SAFETY AND EFFICACY OF FREEDOX
TIRILAZAD MESYLATE INJECTION (NDA 20-399)

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly, the Food and Drug Administration makes no representation as to its accuracy.

Thursday, April 29, 1998

8:30 a.m.

Holiday Inn Gaithersburg
Two Montgomery Village
Gaithersburg, Maryland
Bethesda, Maryland

PARTICIPANTS

Sid Gilman, M.D., Chairperson
Sandra Titus, Ph.D., Executive Secretary

MEMBERS

Michael H. Brooke, M.D.
David A. Drachman, M.D.
James C. Grotta, M.D.
Claudia H. Kawas, M.D.

NEUROLOGICAL CONSULTANTS

Ella P. Lacey, Ph.D.
Richard D. Penn, M.D.
Gerald Van Belle, Ph.D.

FDA

Greg Burkhart, M.D.
Lu Cui, Ph.D.
Russell Katz, M.D.
Armondo Oliva, M.D.
Judith A. Racoosin, M.D., MPH
Robert Temple, M.D.

C O N T E N T S

	<u>PAGE</u>
Call to Order, Introductions Sid Gilman, M.D.	4
Conflict of Interest Statement Sandra Titus, Ph.D.	6
FDA Introduction Russell Katz, M.D.	7
Efficacy Data Armondo Oliva, M.D.	26
Safety Data Judith A. Racoosin, M.D., MPH	73
Pharmacia and Upjohn Presentations	
Introduction: SAH Development Program: Past and Present Issues Mark Corrigan, M.D.	95
Risk Benefit Assessment: SAH: Response to Specific FDA Comments Lawrence Marshall, M.D.	116
Concluding Remarks Mark Corrigan, M.D.	182
Discussion by Advisory Committee	184

P R O C E E D I N G S

Call to Order, Introductions

DR. GILMAN: I welcome you, all of you. My name is Sid Gilman. I am chairman of this committee and I am Professor and Chair of the Department of Neurology of the University of Michigan Medical Center.

Before we go around and introduce ourselves, let me just state a few ground rules concerning this meeting today. I ask members of this committee to raise your hand or signal in some way that you would like to ask a question so that I can recognize you, and we can have an orderly discussion.

For the agency, please allow us to interrupt your presentations with questions as we go along, and please do your best to answer our question directly at the time that it is asked instead of postponing it until later. Sometimes those questions get lost in the course of the conversation.

The sponsor has asked that it be allowed to make its thirty-five to forty minute presentation without interruption unless there are questions about their slides, and I said that we would do our best to accommodate that wish. That's fine. Since the FDA will present its overview first, I suspect we will be asking more questions of the FDA than we will of the sponsor but, again, if questions arise we will need to interrupt and have those questions

1 clarified.

2 With that, let's go around the table to introduce
3 ourselves. We will start with Dr. Drachman.

4 DR. DRACHMAN: I am David Drachman, from UMASS
5 Medical Center.

6 DR. KAWAS: Claudia Kawas, from Johns Hopkins.

7 DR. PENN: Richard Penn, from Rush in Chicago.

8 DR. LACEY: I am Ella Lacey, emerita faculty,
9 Southern Illinois University, Carbondale, Illinois, consumer
10 representative.

11 DR. VAN BELLE: Gerald Van Belle, from University
12 of Washington in Seattle.

13 DR. GROTTA: James Grotta, from the University of
14 Texas in Houston.

15 DR. TITUS: Sandy Titus, with the FDA's advisory
16 committee staff, and I am executive secretary for this
17 committee.

18 DR. BROOKE: Michael Brooke, Professor of
19 Neurology at the University of Alberta in Canada. I spent
20 fifteen years at Washington University in St. Louis.

21 DR. RACOOSIN: Judy Racoosin, medical officer,
22 safety team, Division of Neuropharmacological Drug Products.

23 DR. OLIVA: Armando Oliva, medical officer,
24 Division of Neuropharmacological Drug Products.

25 DR. BURKHART: Greg Burkhardt, safety team leader

1 in the Division.

2 DR. KATZ: Russ Katz, Acting Director of the
3 Division.

4 DR. GILMAN: Good. I will ask the sponsor to
5 introduce yourselves. There are probably twenty-five or
6 thirty of you out there.

7 All right, Dr. Titus has a conflict of interest
8 statement to read.

9 **Conflict of Interest Statement**

10 DR. TITUS: Regarding the conflict of interest for
11 Freedox, the following announcement addresses the issue of
12 conflict of interest with regard to this meeting and is made
13 a part of the record to preclude even the appearance of
14 conflict at this meeting.

15 Based on the submitted agenda for the meeting and
16 all financial interests reported by the participants, it has
17 been determined that all interests in firms regulated by the
18 Center for Drug Evaluation and Research which have been
19 reported by the participants present no potential for a
20 conflict of interest at this meeting.

21 We would, however, like to disclose that Dr.
22 Claudia Kawas' employer, John Hopkins University School of
23 Medicine, was previously involved in a study of Freedox. Dr.
24 Kawas had no involvement whatsoever in the study.

25 In the event that the discussions include any

1 want to run through them briefly.

2 As you undoubtedly know, the history of the
3 application is somewhat interesting. It was originally
4 submitted in 1994. It contained the results of two
5 controlled trials, study 32 and 29. Study 32 did not show a
6 statistically significant effect on its primary outcome,
7 which was the incidence of cerebral vasospasm, but it did
8 show an effect on mortality. When we looked closer at the
9 data, it actually was the case that the entire effect seemed
10 to be coming from men. There was no effect in women; no
11 trends in women. It wasn't entirely clear at the time why
12 that was so.

13 Based on this finding, the primary outcome for
14 study 29, which was ongoing at that time, was changed from
15 cerebral vasospasm to mortality, and that was actually
16 negative as well but there was a trend in men in a
17 retrospectively created subgroup. This was the subgroup of
18 patients who were sickest at baseline, the so-called
19 neurogrades IV and V.

20 The application at that point was brought to the
21 committee and the agency ultimately, after the committee's
22 discussion and its own review, sent a not approvable letter
23 in 1995, I believe, which said that there seems to be a
24 statistically significant finding on mortality in men but
25 before we can ascribe this effect to drug treatment it needs

1 to be replicated, and you need to do a study in women to
2 provide the substantial evidence of effectiveness that you
3 need for approval. The effect on women that we were asking
4 for was effect on mortality and favorable outcome. The
5 letter didn't mention anything about subgroups. The
6 intention was all women because the effect was seen in all
7 men.

8 Nonetheless, as you know, subsequently the sponsor
9 did two trials in women, study 65 which was intended to look
10 at mortality as an outcome in women and only studied women.
11 It studied women at a higher dose because the thought was
12 one possible reason why women didn't show an effect in the
13 earlier studies was that they were under-exposed, because of
14 difference in metabolism, compared to men.

15 Study 65 was negative on its primary outcome, and
16 on the basis of analyses that the sponsor did, they decided
17 before unblinding study 63 to call the primary outcome
18 mortality, as it had been, but only in a restricted subgroup
19 of these neurograde IV and V patients, the sickest patients.
20 In that study, when that outcome was amended prospectively,
21 before the data were looked at, that had a statistically
22 significant outcome.

23 So, there are a number of issues that are raised
24 by this history and the data package, and the first has to
25 do with the critical question of replication and whether or

1 not there is a bona fide finding that has been replicated.

2 And, there are two sort of related issues with
3 regard to that replication, it seems to us. One is whether
4 or not there has actually been a bona fide finding found in
5 this subgroup, which is what the sponsor is asking us to
6 approve the drug for. We say this because study 63 showed a
7 prospective, of a sort, statistically significant p value
8 but study 32 did not. It was positive in the high neurograde
9 men in study 32 but it was also quite positive in the good
10 patients, the neurogrades I through III. So, the question is
11 raised as to whether or not there is a bona fide finding in
12 this subgroup. Clearly, the effect was restricted to that
13 subgroup in women in study 63 but it was clearly not
14 restricted to this subgroup in men. That is the first
15 question about replication.

16 The other question is sort of an interesting
17 problem, and that is that even though in three out of the
18 four studies that have been done the high neurograde
19 patients were a retrospectively created subgroup, there are
20 trends, numerical or statistically significant depending on
21 the study, in that subgroup across the four studies. And,
22 the question is whether or not these retrospective findings,
23 all in the same subgroup, are equivalent, if you will, to
24 the sorts of usual types of replications we like to see
25 which is at least two trials which prospectively designate a

1 primary subgroup or primary outcome. So, the question is
2 whether or not the same subgroup, retrospectively created
3 three out of four times, provides the sort of substantial
4 evidence that we ordinarily look for.

5 Another issue has to do with the identification of
6 the subgroup to be treated. The law basically requires that
7 labeling be able to written that adequately describes the
8 population in whom the treatment is intended. The sponsor
9 has produced a subgroup on the basis of a neurograding that
10 is based on a somewhat idiosyncratic use of the Glasgow Coma
11 Scale. Of course, you will hear much more about it and you
12 have read about it. And, the question is whether or not
13 patients can reliably be assigned to this subgroup so that
14 labeling can adequately describe who needs to get this. This
15 is particularly a question with regard to patients who were
16 intubated, and I go into some detail in my memo in the file
17 about how the assignment to neurograde in those patients is
18 somewhat questionable, and I am sure we will hear more about
19 that from the company but, nonetheless, that is an issue as
20 to whether or we can adequately describe in whom this drug
21 is intended to be used.

22 That is a generic concern. It is made more
23 immediate because we think we have identified possibly a
24 signaled increased risk in the complementary subgroup. In
25 other words, in patients in I through III we feel that there

1 is a signal that there is an increased risk. So, the
2 assignment -- the correct assignment to neurograde is a
3 critical question when you think that patients who might be
4 treated inappropriately might suffer some harm. So, we want
5 to know what you think about that as well.

6 Theoretically one could write labeling. If you
7 found that there was substantial evidence of effectiveness
8 in the severe neurograde subgroup, it is theoretically
9 possible that labeling could be written to describe -- and
10 if you found that there was a risk in the lower subgroup --
11 you could write labeling to adequately warn, theoretically
12 anyway, people not using it in this subgroup but using it in
13 this subgroup because there is risk in one as opposed to the
14 other.

15 I would just point out in that regard that there
16 are a number of examples in other drugs where labeling,
17 despite warnings and various other attempts to inform
18 prescribers, doesn't necessarily preclude the use of a drug
19 off-label. So, one thing we want to ask you about is, even
20 if you found that there was substantial evidence of
21 effectiveness in the neurograde IV and V patients, whether
22 or not you think there is a risk in the I through III
23 patients, and whether or not that risk is so severe as to
24 preclude the approval of the product even, as I say, if you
25 found there was substantial evidence in the high

1 neurogrades. So, that is another issue we would like you to
2 discuss.

3 Another issue is sort of the ease and the
4 practicability of actually assigning patients. As I say, one
5 has to calculate a number on the GCS and translate it to a
6 neurograde. It is perhaps particularly complicated when one
7 also has to apply the Hess Scale to decide whether or not
8 patients ought to get nimodipine which is, as you know,
9 approved for the patients who are in a reasonably good
10 condition after subarachnoid hemorrhaging.

11 So, the fact that a prescriber would have to
12 calculate two scales and assign patients to various
13 treatments is an issue that we would just like you to
14 discuss as well. That raises, of course, the question of
15 concomitant nimodipine. Even though it is approved for
16 patients in neurogrades I through III, even though that is a
17 different grading scale, there is a question as to whether
18 or not -- well, first of all, we know that in these studies
19 it was used in all neurograde patients, and presumably that
20 is what happens but it certainly was true in these trial
21 populations. So, it raises the question of concomitant use
22 of nimodipine in the neurograde IV and V patients, which are
23 the patients, of course, we are interested in here.

24 There is some evidence that in those patients
25 nimodipine has a deleterious effect. There was a controlled

1 trial described in the labeling for nimodipine which
2 suggests, I believe, a statistically significant increase in
3 mortality in those severe patients and, in fact, the drug is
4 not recommended for use in those patients. Now, that study
5 was done at a higher dose than the approved dose for
6 nimodipine but, nonetheless, there is this study. We have
7 looked through the literature to see if there are other
8 studies that speak to the question of whether or not there
9 is a deleterious effect of nimodipine in high risk patients
10 and we have not been able to find any other trial that
11 speaks directly to this issue. So, there is this outstanding
12 concern that nimodipine might make the high neurograde
13 patients worse.

14 If that is true, then that has profound
15 implications for the interpretation of the trials that we
16 are presented with today, and that is something that we
17 would definitely like you to discuss.

18 Next is the issue of differences at baseline
19 between the treatment groups and how that affects the
20 interpretation of the data. Now, both the sponsor and the
21 agency have investigated these baseline differences for
22 various reasons. Ordinarily, this is a practice that we are
23 very suspect about. We ordinarily don't like to do this; we
24 don't like sponsors to do this. So, ordinarily the context
25 in which we see this is when a study is negative and

1 sponsors try to suggest that, well, the reason they are
2 negative is because there were baseline differences in
3 important prognostic factors, and they do analyses that
4 adjust for these factors and, all of a sudden, something is
5 positive.

6 Well, in study 63 the study is positive by the
7 protocol in the high neurograde patients but we have looked
8 at the baseline differences in important factors and, as I
9 say, we ordinarily don't like to do that. The reason it was
10 done in this case is because the randomization for that
11 trial was not stratified by neurograde. You recall that that
12 was a study in all neurograde patients and the high
13 neurograde patients were chosen to be the primary subgroup
14 of interest in a blinded, prospective way but basically at
15 the very end of the trial. Everyone had been enrolled, I
16 believe, and almost all patients had completed at that
17 point. So, the randomization had not been stratified on
18 neurograde; it wasn't an issue at baseline.

19 There is a view in the review team that extracting
20 a subgroup from a trial that did not have a stratified
21 randomization increases the likelihood that there will be
22 important differences at baseline in certain factors between
23 the treatment groups in that subgroup. There is also a view
24 within the review team that it doesn't increase the
25 likelihood. I mean, one view is that had you taken those 154

1 patients and randomized them from day one to treatment or
2 control you would have the same chance of having baseline
3 differences as if you had extracted them out but that is a
4 controversial point, and we need you to discuss that. And,
5 it is important because when you adjust for baseline
6 differences in those neurogrades you can get analyses that
7 eradicate the statistically significant difference that the
8 sponsor found. So, that needs to be discussed I think in
9 some detail.

10 As part of the effort to look at the because
11 differences, another finding emerged. Our statisticians
12 noted that whatever finding is there in the neurograde IV
13 and V patients, it seems to be coming entirely from an even
14 smaller subset of patients. These are patients that have
15 been labeled PMR2 by the statistician and these are patients
16 who have bilateral poor motor responses. So, the neurograde
17 IV and V patients are a small subset but the effect, if it
18 is there, seems to be coming from an even smaller subset.
19 And, the implications of that finding for questions of
20 replications and adequate labeling are interesting and we
21 would also like to you to address those.

22 Finally, from the point of view of effectiveness
23 issues regarding the evidence of effectiveness is the
24 question of the integrated analysis that the sponsor did,
25 and they did this for various reasons, one of which was to

1 sort of shore up the argument that there is evidence of
2 effectiveness. Also, they attempted to identify any
3 treatment by various factor interactions to see whether or
4 not effects are coming from various subgroups, and
5 subgrouping according to these factors had an effect on the
6 outcome.

7 They did a similar sort of meta-analysis the first
8 time around with studies 32 and 29 in the initial
9 application. The committee and the agency didn't find it
10 terribly helpful or contributory, and we would be interested
11 to know what you think about it, particularly with regard to
12 some findings which were negative on face which now the
13 sponsor generates nominal p values for. For example in study
14 65 which was negative in the neurograde IV and V subgroup, I
15 think they have identified a factor, initiation before or
16 after surgery, which suggests that in neurograde IV and V in
17 study 65 for patients who got the drug before surgery there
18 was a nominally significant p value. So, we are very
19 interested to know what you think of all of those analyses,
20 all of which, of course, are retrospective.

21 Then, of course, there is the question of safety.
22 As you know, development of the drug was halted in two
23 indications, head trauma and stroke, at the time because of
24 a signaled increased mortality, and our safety team has
25 looked at that and we believe that there are replicated

1 findings of increased mortality in those studies. They
2 suggest that there is some specific, although at the moment
3 to us ill-defined, CNS toxicity, and a look at the
4 subarachnoid hemorrhage experience suggests that there are
5 also similar types of CNS toxicity. So, we are very
6 interested to hear what you think about that.

7 In addition, of course, there is the potential
8 increased toxicity in the neurogrades I, II and III
9 patients, and also increased mortality seen in a
10 dose-related fashion in study 7 and 19, which were two small
11 early subarachnoid hemorrhage studies but which we also
12 think showed a very interesting, as I say, dose-related, in
13 one study statistically significant increase in mortality.

14 So, that is our least of issues we would like you
15 to discuss. I am sure more will emerge, and with that I will
16 turn it back to Dr. Gilman.

17 DR. GILMAN: Thank you. Before you leave the
18 podium, Dr. Katz, one aspect of this history puzzles me a
19 bit. So, in study 32 there were no differences between
20 placebo and drug for the entire group, but retrospectively
21 the men at severe grades showed a significance --

22 DR. KATZ: Well, all men showed a significant
23 increase in mortality.

24 DR. GILMAN: Excuse me, yes, all men did. In study
25 29 though, even though the primary outcome was changed from

1 vasospasm to mortality at 3 months, the analysis at day 76
2 showed significant effect but at day 91 it did not show a
3 significant effect.

4 DR. KATZ: Yes. I think actually it is day 106.
5 The confusion arises around the fact that mortality at 3
6 months was the primary outcome --

7 DR. GILMAN: Right.

8 DR. KATZ: But 3 months was defined as plus/minus
9 2 weeks. You could have your 3-month visit at day 76 or you
10 could have your 3-month visit at day 106. Now, if you looked
11 at day 76 there was a nominally significant -- it is all
12 retrospective at this point --

13 DR. GILMAN: Right.

14 DR. KATZ: -- but it was nominally significant in
15 men if you used data from day 76, but we asked the sponsor
16 to get additional follow-up data out through that entire
17 3-month window, which was actually a month from day 76 to
18 day 106. If you look at day 106 there was an additional
19 death in men. So, I think it was originally 1/20 and now it
20 was 2/20 or something like that, and that nominal
21 significance even was lost at that point.

22 DR. GILMAN: My question is having lost
23 significance at that point with one retrospective study
24 showing a benefit in men and the other one maybe yes at day
25 76 for grades IV and V but then that significance was lost

1 later on, why did you not request additional studies in men?

2 DR. KATZ: Well, I think we thought that the
3 effect seen in men on mortality, at least in study 32, again
4 not the primary outcome, was fairly robust. You have the
5 numbers there in front of you. We just thought it was a very
6 robust finding; not enough to attribute it to drug because
7 it hadn't been replicated, but there are many reasons why
8 studies of drugs that are even effective are not replicated.
9 You know, studies are negative even for effectiveness
10 treatments. So, we felt that 29, even though it was negative
11 on its new outcome measure of mortality, it didn't really
12 necessarily completely negate the finding in men in study
13 32, and we felt that if that finding could be replicated in
14 women, all women, you would have one study with a robust
15 finding in men, you would have one study, hopefully, with a
16 robust finding in women and that would sort of provide you
17 with the replication that you would need to be able to
18 indicate the drug for all patients.

19 DR. GILMAN: Other questions from the panel? Dr.
20 Van Belle?

21 DR. VAN BELLE: I just want to make sure, from you
22 letter of April 14 I get the impression that the company was
23 asked to provide evidence of efficacy in females, that the
24 agency had considered the effectiveness in men to have been
25 established by study 32.

1 DR. KATZ: Well, again, established is an
2 interesting word. We felt that if that same finding were
3 replicated in women that would provide substantial evidence
4 of effectiveness. So, when we say established, if we thought
5 it was established in the sense of drug related we would not
6 have asked for any replications. So, we thought it was a
7 statistically significant difference but that having shown
8 it in only one trial it did not convince us that the effect
9 was treatment related and that was why we asked for
10 replication.

11 DR. VAN BELLE: Well, your statement here is that
12 study 32 did demonstrate an effect on mortality in men only.
13 I take that to mean a treatment effect.

14 DR. KATZ: A statistically significant difference
15 -- let's say that ordinarily without replication we are
16 hard-pressed to say it is treatment related, but the point
17 is that if we thought that was a significant finding in men
18 and if it were replicated, I suppose, a second time in men
19 you would have had two trials in men and that would have
20 convinced us that the drug was effectiveness in men. I think
21 that is the point.

22 DR. GILMAN: Dr. Penn?

23 DR. PENN: I think I am one of the few people that
24 was at that first panel meeting on this subject, and I
25 remember taking away the opinion, at least of the panel,

1 that the drug had been shown effectiveness in men and that
2 the major problem was having a drug that could only be used
3 in men, and that the real question was women. So, the panel
4 was not divided substantially about that particular issue.

5 The question I have for you is you used the words
6 nominally significant throughout your presentation. Could
7 you tell us what you mean by nominally significant?

8 DR. KATZ: What I mean by that is these are
9 usually p values that are generated in subgroups or various
10 outcomes of subgroups that were not prospectively designated
11 so that you can generate a p value; you can test that; you
12 can get a p value that is less than 0.05 but it doesn't
13 really have the same interpretation. It may have no
14 interpretation but it certainly does not have the same
15 meaning as a p value less than 0.05 in a prospectively
16 designated outcome or prospectively designated --

17 DR. PENN: So you might call it mathematically
18 calculated --

19 DR. KATZ: As opposed to a p value that is really
20 interpretable.

21 DR. GILMAN: Dr. Drachman?

22 DR. DRACHMAN: The rationale for women not being
23 successfully treated was that there was a different rate of
24 metabolism and different blood levels of drug, really based
25 on young women tested during an initial phase. Why didn't

1 you request that blood levels be done during these trials
2 rather than saying that a larger dose really was needed?

3 DR. KATZ: Why didn't we ask if blood levels were
4 taken in which trials? The subsequent trials in women?

5 DR. DRACHMAN: Right, yes.

6 DR. KATZ: Well, I don't recall before those
7 trials were done -- I am sure there are many people in the
8 room whom do about whether or not plasma -- the studies were
9 done at 15 mg/kg/day and the high dose in men had been 6. I
10 am sure there was some work that looked at the comparability
11 of plasma levels, and the dose of 15 was chosen presumably
12 on the basis of the fact that it gave essentially equivalent
13 plasma levels to a dose of 6 in men but, again, there are
14 people here who know that data far better than I do. It was
15 chosen for a reason. It is interesting that it is really
16 only in premenopausal women that that difference occurs.
17 Also, that difference even in those women, I believe, is
18 essentially gone by day 4 or 5 of treatment. There are
19 differences in the plasma level of the metabolite after that
20 but the parent drug actually sort of equals out.

21 DR. GILMAN: Dr. Temple has joined us now, Robert
22 Temple.

23 DR. TEMPLE: Just on the matter that Dr. Penn
24 raised, we did not at the time we refused to approve the
25 application think that effectiveness had been established in

1 men because there was really only one study that showed
2 that. The first study was actually overall significant as
3 well and, being suspicious of subset analyses, I think we
4 would have said that was one favorable study. The oddity was
5 that all effects appeared to be in men, who were only 30
6 percent of the population, which was weird.

7 But the second study didn't quite make it. It was
8 a retrospective subset analysis and statistical significance
9 at least was dependent on exactly what day you cut it, and
10 the finding was in a very small subset of the population
11 anyway. But we really didn't think it was established for
12 any population. The reason to look in women was that was a
13 group that hadn't been sufficiently studied and at the time
14 that seemed a plausible -- I don't know how plausible it
15 seems in retrospect -- explanation for why they might not
16 have responded in the same way. So that would have been
17 considered a confirmatory study for people. I mean, it would
18 have been done in women but it would have shown the ability
19 to replicate this finding in people with an appropriate
20 adjustment of dose because it was thought that women
21 metabolized and got rid of it and cleared it more rapidly.
22 But we were not convinced that there was a finding in men.
23 If we were, we would have labeled it for men.

24 DR. DRACHMAN: Again, that raises the question
25 that I posed originally to Dr. Katz. Then why did you

1 recommend a study in women only? Why not in a larger
2 population that included men?

3 DR. TEMPLE: That could be done but it was
4 considered that a finding in women would constitute a
5 replication and would have made the finding previously seen
6 in men plausible -- stronger.

7 DR. DRACHMAN: Again, we are talking
8 retrospectively but then you would have had one positive
9 finding in men; another not positive; and then you would go
10 on to women and you would wind up in a similar situation
11 which, in fact, is close to where we are.

12 DR. TEMPLE: It depends a little on what you think
13 of as not positive. The second subset analysis that turned
14 on one more death -- I mean, it was 35/5 and 30/10 in a very
15 small subset -- you could easily have decided that
16 constituted additional evidence.

17 DR. KATZ: But I think at the time we were looking
18 at just the two studies, 32 and 29. I don't think we put any
19 stock at all in this neurogade IV and V subgroup at the
20 time. Now looking back after four studies were done, this
21 finding seems to be sort of emerging from this subgroup
22 across trials and, obviously, we will talk about that and,
23 of course, that is the claim that the sponsor is going for
24 so it has taken on importance. At the time it was just
25 something that had been identified retrospectively and was

1 really not taken very seriously.

2 DR. GILMAN: Any other questions for Dr. Katz or
3 Dr. Temple? If not, we will move on to Dr. Oliva. We do have
4 copies of these slides before us.

5 **Efficacy Data**

6 DR. OLIVA: Good morning. I am here to discuss the
7 efficacy data. For those of you who have copies of my
8 slides, I should point out that, with the benefits of modern
9 technology, I had the luxury to make some last-minute
10 corrections and changes last night. So, you will notice
11 some, hopefully, minor differences in what is on the screen
12 and what is on the page.

13 [Slide]

14 My talk is divided into three sections. First I am
15 going to discuss important background information. I will
16 breeze through this as Dr. Katz covered this already. Then I
17 will discuss in some detail the efficacy results of the four
18 large multicenter studies. Then I will conclude my talk with
19 a discussion.

20 [Slide]

21 This slide shows the sponsor's proposed indication
22 for tirilazad if approved. Tirilazad would be intended for
23 the treatment of aneurysmal subarachnoid hemorrhage to
24 improve survival and functional outcome in patients with
25 poor neurologic function following the initial hemorrhage.

1 The draft product labeling recommends the initiation of
2 treatment within 48 hours.

3 [Slide]

4 Just a little bit about the compound, tirilazad is
5 a member of a new class of synthetic, non-hormonal 21-amino
6 steroids which has been studied extensively as a
7 cytoprotective agent and as an inhibitor of membrane lipid
8 peroxidation against the damaging effects of CNS injury,
9 including subarachnoid hemorrhage, ischemic stroke, closed
10 head injury and spinal cord injury.

11 It is available as an intravenous formulation. It
12 has a very long half-life of approximately three to five
13 days, and it is highly protein bound. It undergoes primarily
14 oxidative metabolism in the liver and is excreted in the
15 bile. There is an increased clearance of the drug in
16 premenopausal women by about 40 percent compared to either
17 young or middle aged males. This results in lower drug
18 exposures in these women. This gender difference is
19 important when interpreting the results of the early
20 efficacy studies, and I will refer to this point later. I
21 should also point out that the gender difference is not as
22 great in postmenopausal women, although we do see some,
23 suggesting that it is more closely related to menopausal
24 status.

25 [Slide]

1 The NDA, as you know, was submitted in June of
2 '94. The original submission contained the results of two
3 large, adequate and well-controlled trials that examined the
4 efficacy of tirilazad in subarachnoid hemorrhage. These were
5 studies 32 and 29, as you now know.

6 Study 32 was completed first. This study was
7 negative on its primary outcome which was vasospasm.
8 However, there was a positive effect on mortality seen with
9 the highest dose, and this was in everybody. But on further
10 analysis it did appear that the positive effect on overall
11 mortality was actually a reflection of the drug effects on
12 men, and there seemed to be no significant effect on
13 mortality in women. Study 29 failed to reproduce this
14 finding, and I will review these results later in a little
15 bit more detail.

16 The Peripheral and Central Nervous System Advisory
17 Committee met in September of '94 to discuss these results
18 and, after much discussion the committee did not formally
19 vote because sufficient evidence of efficacy had not been
20 submitted.

21 The FDA issued a non-approvable letter in June of
22 '95 in which the agency acknowledged evidence of a positive
23 effect on mortality in men in study 32, but it stated that
24 efficacy in women would also need to be demonstrated prior
25 to approval, both in terms of improved mortality and

1 functional outcome. Additional safety data were also
2 requested. The sponsor then conducted studies 65 and 63 only
3 in women.

4 [Slide]

5 With that, I will proceed to discuss the efficacy
6 studies. These were the four studies. Although the results
7 of studies 32 and 29 were presented at the last advisory
8 committee meeting, I believe they are pertinent to today's
9 discussion and I would like to describe them briefly here as
10 well.

11 [Slide]

12 All four studies showed a similar design. They
13 were randomized, double-blind, vehicle-controlled,
14 multicenter trials. Patients were enrolled with a diagnosis
15 of aneurysmal subarachnoid hemorrhage due to a ruptured
16 saccular aneurysm. The diagnosis was confirmed by
17 angiography, and treatment was started within 48 hours of
18 the bleed. All neurogrades were treated, and I will discuss
19 the determination of the neurograde shortly. All patients
20 received concomitant nimodipine either orally or
21 intravenously.

22 [Slide]

23 Tirilazad or vehicle placebo was given
24 intravenously in divided doses every 6 hours, and treatment
25 continued until day 10. Since the therapy was initiated at

1 any time within 48 hours of the bleed, this resulted in 8-10
2 days of dosing, depending on when the medication was
3 actually started.

4 [Slide]

5 Before I get into the actual results of the
6 studies, I would like to discuss the neurograde in some
7 detail. If approved, the proposed target population is
8 subarachnoid hemorrhage patients with poor neurologic
9 function at because following the initial hemorrhage. Poor
10 neurologic function was defined in the studies using the
11 neurograde.

12 [Slide]

13 The determination of the neurograde is based on a
14 modification of the traditional Glasgow Coma Scale. I show
15 the GCS here but I won't describe it since it is a familiar
16 scale. Suffice it to say that the traditional GCS consists
17 of the sum of the best scores obtained in all three
18 components of eye opening, verbal and motor response, and it
19 ranges from a low score of 3 to a high score of 15.

20 [Slide]

21 The modified GCS as defined in the study reports
22 was determined at baseline by recording the patients' eye
23 opening score, verbal score and the four individual limb
24 motor responses. Unlike the traditional Glasgow Coma Scale
25 which uses the best responses, the modified GCS was

1 calculated by using the worst motor response in the
2 calculation of the total score. This modification was used
3 because this may be a better predictor mortality and good
4 outcome.

5 [Slide]

6 An imputation algorithm was used if there were
7 missing components of the modified GCS. When this occurred
8 the verbal score was the most likely one to be missing due
9 to intubation. In this case, a verbal score of 1, the lowest
10 possible score, was imputed.

11 [Slide]

12 The neurograde was assigned based on these cut-off
13 scores from the modified GCS. Scores of 8 and below placed
14 one in the high neurograde IV/V subgroup. It is this latter
15 subgroup for which tirilazad is intended. Throughout my talk
16 I use the term high neurogrades synonymously with
17 neurogrades IV and V, and the term low neurogrades for I, II
18 and III.

19 [Slide]

20 This slide compares the various neurogrades
21 defined by the modified GCS and the Hunt and Hess Scale,
22 which is the scale used in the nimodipine trials. Nimodipine
23 is indicated in patients who have a Hunt and Hess of 1, 2 or
24 3. Although we can agree that increasing Hunt and Hess
25 grades and increasing neurograde grades are both associated

1 with poor neurologic function, it is not obvious how well
2 the individual neurogrades and Hunt and Hess grades
3 correlate with each other.

4 [Slide]

5 There are three improvement effectiveness
6 endpoints. Which one was the primary endpoint depended on
7 the study. Mortality was assessed at 3 months, and 3 months
8 was defined as any time between days 76 and 106. For studies
9 32 and 29 the sponsor analyzed mortality at day 76, and for
10 studies 65 and 53 mortality at day 91 was used.

11 The Glasgow Outcome Scale, a measure of functional
12 outcome which I will describe further, was assessed at 3
13 months. The presence of clinical vasospasm at any time
14 during the treatment period was also recorded. The treatment
15 period in this case was defined as the 14 days following the
16 first dose of study medication.

17 [Slide]

18 This is the Glasgow Outcome Scale. It is a 5-point
19 scale, as shown on the slide. Good recovery is a 1 and it
20 ranges all the way to death which is a 5.

21 [Slide]

22 Other efficacy endpoints included the need for
23 hypertension, hypovolemia and hemodilution therapy,
24 neurologic worsening from vasospasm, and cerebral infarction
25 during treatment.

1 [Slide]

2 There were some important differences among the
3 studies. Studies 32 and 29 included both men and women.
4 There were 4 dose groups in study 32, including vehicle
5 placebo. Study 29 dropped the lowest tirilazad dose group.
6 And, both studies 65 and 63 included only women and had 2
7 dose groups, vehicle and 15 mg.

8 [Slide]

9 Of the four studies, study 32 was the first study
10 completed. It was conducted in Europe, Australia and New
11 Zealand. It enrolled both men and women, and it treated
12 1,015 patients. There were four randomized treatment groups,
13 as shown here, placebo, 0.6, 2 and 6. All patients received
14 nimodipine. Throughout my talk this morning I use PBO on my
15 slides as an abbreviation for vehicle placebo. This study
16 was negative on its primary endpoint which was vasospasm,
17 although a numerical trend in favor of tirilazad was seen.

18 [Slide]

19 I would like to say here that the primary endpoint
20 was tested at the 0.05 level of significance with adjustment
21 for multiple dose group comparisons. In general, acceptance
22 of any other positive secondary or retrospective analysis
23 inflates the type-1 error of the experiment above this 0.05
24 level. As we discuss secondary endpoint results from this
25 and other studies, an improvement question to consider is

1 how much inflation of the type-1 error we are willing to
2 accept.

3 [Slide]

4 The study also looked at mortality as a secondary
5 endpoint. Mortality in the highest dose group was decreased
6 compared to placebo. The comparison between 6 mg and placebo
7 had a nominal p value, shown here, of 0.01.

8 [Slide]

9 This table subdivides the mortality data by sex.
10 On further analysis one can see that the overall effect on
11 mortality came entirely from the effect in men, as shown
12 here, in this row, 2 percent for drug, again the highest
13 does, 25 percent for placebo. As you can see, there was no
14 between group difference in mortality in women. One possible
15 explanation at the time was the higher clearance of the drug
16 by premenopausal females which led to the use of a higher
17 dose in studies 65 and 63. Analyses of other secondary
18 efficacy measures were all negative.

19 [Slide]

20 Study 29 was conducted in the United States and
21 Canada. It also included men and women and it treated 897
22 patients. This had 3 randomized treatment groups, placebo, 2
23 mg and 6 mg, and all patients received nimodipine.

24 [Slide]

25 The primary endpoint for study 29 changed while

1 the study was in progress. Initially it was vasospasm and
2 GOS. Then it was vasospasm alone. But when the results of
3 study 32 became known the sponsor and the Division held a
4 meeting in late January, 1994, while the study was still in
5 progress, during which time it was mutually agreed that
6 mortality would be analyzed.

7 [Slide]

8 This table shows the mortality results for that
9 study. I only show the data comparing the highest dose, 6
10 mg, and placebo. The first row shows the mortality data for
11 the entire study population. The next two rows show the data
12 for each sex. There were no statistically significant
13 between group differences in mortality, and the study failed
14 to replicate the positive mortality effect that was seen in
15 men in study 32.

16 [Slide]

17 The sponsor then performed a retrospective
18 analysis of the data for high neurograde patients. Now, we
19 have no evidence to suggest at the time that this was a
20 prespecified subgroup analysis, but in this severely ill
21 group the difference in mortality was nominally significant
22 at day 76 only in men treated with the highest dose. As you
23 can see, 1/20, 5 percent, on drug; 4/12, 33 percent, on
24 placebo.

25 I would like to point out the very small size of

1 this subgroup, 32 patients out of a total of 897 patients
2 who were treated. But this was the first indication that
3 tirilazad may have a treatment effect on high neurograde
4 patients only.

5 The Division objected to the retrospective nature
6 of this analysis of a very small subgroup, and also objected
7 to the use of the day 76 data, the beginning of the 3-month
8 window, since this analysis may have ignored any additional
9 follow-up information that may have been available. We
10 performed an analysis at day 91, and one can see that the
11 inclusion of a single additional death in the high dose,
12 from 1/20 to 2/20 -- this death occurred on day 84, results
13 in loss of nominal significance, and the p value is even
14 higher with adjustments for 2 doses, 2 genders and the 2
15 neurograde subgroups. The analyses of other efficacy
16 measures, including the 3-month GOS, were all negative in
17 this study.

18 [Slide]

19 In the June, 1995 non-approvable letter the agency
20 considered the positive mortality effect in men seen in
21 study 32 a statistically robust finding even though that
22 study was negative on its primary measure. We also concluded
23 that study 29 did not replicate this finding. Therefore,
24 there was insufficient evidence for approval. The letter
25 also stated that evidence of efficacy in women, along with

1 an increase in favorable outcome, would provide
2 corroborative evidence needed to establish efficacy.

3 [Slide]

4 In July, 1998 the sponsor submitted a response to
5 the non-approvable letter, and this submission contained the
6 results of the 2 new large studies in women only, number 65
7 and 63, using a higher dose, 15 mg/kg/day.

8 [Slide]

9 Study 65 was conducted in Europe, Australia and
10 New Zealand. It enrolled and treated 817 women. They were
11 randomized to placebo or 15 mg, and all patients received
12 nimodipine.

13 [Slide]

14 The primary endpoint in this study was mortality
15 at day 91, and the results of this analysis are shown in the
16 first row of this table. There was no statistically
17 significant between group difference seen.

18 The sponsor also performed a retrospective
19 analysis of mortality in the low and high neurogrades and
20 there were no nominally significant between group
21 differences seen in either of these subgroups.

22 [Slide]

23 Here is a graphical representation of the same
24 data. In this chart the blue cylinders represent the overall
25 study population, showing essentially no between group

1 difference in mortality. There was a numerical trend in the
2 high neurograde group in favor of tirilazad, which is
3 reminiscent of the similar trend that was seen in the high
4 neurograde in men in study 29. There was a slight numerical
5 trend in favor of placebo in the low neurogrades.

6 [Slide]

7 There were two analyses of secondary endpoints
8 that were nominally significant. Clinical vasospasm and
9 death from clinical vasospasm were decreased in the
10 tirilazad group. However, this finding did not translate
11 into any other measurable benefit such as decreased overall
12 mortality or decreased incidence of cerebral infarction, and
13 the analyses of other secondary endpoints were negative,
14 including functional outcome.

15 [Slide]

16 My conclusions of that are this study failed to
17 demonstrate a between group difference in mortality in
18 women. There was no improvement in 3-month functional
19 outcome. And, there was a decreased incidence of clinical
20 vasospasm but this did not translate into any demonstrable
21 improvement in mortality, functional outcome or incidence of
22 cerebral infarction. This was essentially a negative study
23 in women, and it failed to provide the corroborative
24 evidence needed for approval.

25 [Slide]

1 I move now to the results of study 63 which was
2 conducted in the U.S., Canada and Mexico. This treated 823
3 women and, like study 65, there were 2 treatment groups and,
4 as in the previous 3 studies, all patients received
5 nimodipine.

6 [Slide]

7 The original protocol specified that primary
8 efficacy analysis was mortality at day 91 in the overall
9 population. However, because of the favorable numerical
10 trends in mortality seen in previous studies in neurogrades
11 IV and V, the sponsor filed an amendment on December 16,
12 1996 which changed the primary efficacy analysis to
13 mortality in neurogrades IV and V. This change occurred just
14 before study completion, after enrollment of the last
15 patient and before breaking the blind.

16 [Slide]

17 This slide shows the effect of the change in the
18 primary analysis population on the sample size. Of the 823
19 patients treated, there were 154 patients in the high
20 neurograde subgroup, as shown in this purple wedge. This
21 represented 19 percent of the overall study population. Of
22 these, 69 received tirilazad and 85 received placebo.

23 [Slide]

24 The primary endpoint in the study was mortality at
25 day 91 in the high neurograde patients. This analysis was

1 positive, as shown in the last row of this table, showing a
2 statistically significant between group difference in
3 mortality in favor of tirilazad, 24.6 percent in the
4 tirilazad group and 43.4 percent in placebo.

5 The sponsor also analyzed mortality in the entire
6 study population, in the first row, and in the low
7 neurogrades. These were both negative. Notice the numerical
8 trend in favor of placebo in the low neurogrades just as was
9 seen in study 65.

10 [Slide]

11 Here is a graphical representation of the same
12 data. The yellow cylinders here represent the primary
13 analysis population, high neurograde patients, and the
14 tirilazad-associated reduction in mortality is evident from
15 the graph. Mortality in the overall population was
16 numerically lower in tirilazad but it was not statistically
17 significant. As you saw in the previous table, the
18 mortality was numerically higher in the tirilazad-treated
19 low neurograde patients but also was not significant.

20 [Slide]

21 I would like to discuss the results of the GOS in
22 this study. There is no universally agreed upon method to
23 analyze the GOS; many approaches have been used. The sponsor
24 chose three analyses which compared binary groups. The good
25 recovery analysis compared the proportion of patients that

1 achieved a good recovery or a GOS of 1. The favorable
2 outcome analysis compared the proportion of patients that
3 achieved a GOS of 1 and 2 between the 2 groups. And, the
4 vegetative/death analysis compared the proportion of
5 patients that had a GOS of 4 and 5.

6 [Slide]

7 This table shows the results of the GOS at 23
8 months in high neurograde patients. As you can see, the odds
9 ratios were all less than 1 which favored tirilazad. The
10 composite analysis, here in the first row, analyzed the
11 results of all 3 individual binary analyses simultaneously.
12 This composite analysis and the analysis of vegetative/death
13 were nominally significant. Since there were few in the
14 vegetative category, most of them had a GOS of 5, the
15 favorable results in GOS were generally a reflection of the
16 decreased mortality. Therefore, the GOS result really offers
17 no new independent findings and is merely a reflection of
18 the positive mortality results seen previously.

19 [Slide]

20 Here is the GOS analysis for the overall
21 population and for the low neurograde patients. The results
22 were negative for the overall population, with odds ratios
23 all close to 1. For the low neurograde patients there was a
24 numerical advantage to placebo in all analyses and it
25 achieved nominal significance in the favorable outcome

1 analysis. Put another way, the use of tirilazad in low
2 neurograde women in this study was associated with a
3 nominally significant lower proportion of favorable
4 outcomes, GOS 1 and 2, compared to placebo.

5 [Slide]

6 There were no nominally significant between group
7 differences seen in any of these other secondary endpoints.

8 [Slide]

9 In conclusion, study 63 demonstrated that
10 tirilazad therapy was associated with a statistically
11 significant decrease in mortality in high neurograde women.
12 The question remains whether this is due to a drug effect
13 and I will explore this issue further in my discussion.

14 There was also an improvement in functional
15 outcome with tirilazad, however, this was largely a
16 reflection of the effect seen on mortality. And, functional
17 outcome was worse in low neurograde women treated with
18 tirilazad, and mortality was at least numerically increased
19 in this subgroup as well.

20 [Slide]

21 I would like to proceed now with my discussion of
22 the data. My discussion focuses on four questions: Number
23 one, is there substantial evidence of efficacy? Number two,
24 can clinicians identify the target population easily and
25 accurately? Number three, is there a risk of treatment to

1 low neurograde patients? And, lastly, what is the effect of
2 concomitant nimodipine in the high neurograde patients?

3 [Slide]

4 I would like to review the progression of events
5 during development that has led us to the first and most
6 improvement question, is there evidence of efficacy in high
7 neurograde patients?

8 In the non-approvable letter the agency
9 acknowledged that there existed evidence of efficacy in men.
10 This was due to the positive effects on mortality seen in
11 all men in study 32, even though that study was negative on
12 its primary endpoint. I would point out that efficacy in
13 neurogrades IV and V at that time was not yet an issue, and
14 that subgroup analysis was not done. Out of interest, I will
15 present these data shortly.

16 Study 29 was then completed and it was negative on
17 the mortality analysis as well as the mortality analysis in
18 men. However, a subgroup analysis showed a positive
19 numerical effect on mort in high neurograde men.

20 [Slide]

21 At that point, the agency issued the
22 non-approvable letter and requested evidence of efficacy in
23 women. Studies 65 and 63 were done using a higher dose.
24 Study 65 was negative on mortality but showed a positive
25 numerical mortality effect in high neurograde women.

1 Finally, study 63, which was originally designed to look at
2 mortality in all women, was then amended to look at
3 mortality in high neurograde women as its primary analysis
4 and this showed a positive mortality effect only in this
5 subgroup.

6 I should point out that neither study provides the
7 evidence requested in the non-approvable letter, that of
8 efficacy in all women. So, is there instead evidence for
9 efficacy in high neurogrades? Since the efficacy data for
10 each gender comes from different studies using different
11 doses, I will continue to look at each gender separately.

12 [Slide]

13 This slide summarizes the evidence for efficacy in
14 high neurograde men. The subgroup analysis shown here for
15 study 32 is new in the sense that it was not prespecified in
16 the protocol and was not submitted as part of the original
17 NDA.

18 It is presented here as a retrospective analysis
19 since this is the focus on the IV/V population. I want to
20 point out that the subgroups are very small. In study 32 we
21 are talking about 34 patients out of a total of 1,015 that
22 were treated. There were no deaths in the tirilazad group,
23 and the p value is nominally significant. However, I remind
24 everyone that it carries no inferential value because of the
25 retrospective nature of the analysis, and we performed a

1 minimal adjustment by taking into account 3 dose groups, 2
2 endpoints, vasospasm and mortality, 2 genders, 2 neurograde
3 subgroups. As you can see, the p value loses nominal
4 significance with such an adjustment.

5 On the right are the results of the same analysis
6 for study 29 which you have already seen. Again, we see very
7 small numbers. In this study we are talking about 32
8 patients out of almost 900 that were treated. Again, we see
9 a numerical trend in favor of tirilazad but the p value does
10 not reach nominal significance, and with an adjustment it is
11 even larger.

12 I want to emphasize again the very small numbers
13 that we are dealing with here. An important question before
14 us is can we draw a conclusion about the efficacy of the
15 drug in high neurograde men using retrospective analyses of
16 such small subgroups?

17 [Slide]

18 This table shows that the positive mortality
19 effect seen in high neurograde men in study 32 was really
20 not limited to that subgroup. It was also present in the
21 much larger group of low neurograde men, 2.4 percent versus
22 18 percent. The point of this slide is to show that the
23 positive mortality effects seen in all men in study 32 are
24 not coming just from the high neurograde subgroup, and it is
25 difficult to argue that this study somehow replicates the

1 results seen in high neurograde women in study 63 since the
2 positive mortality effect in all men in study 32 is mostly
3 coming from the larger subgroup of men with neurogrades I,
4 II and III.

5 [Slide]

6 Here I show the same efficacy results for high
7 neurograde women. Study 65 did show a numerical trend in
8 favor of tirilazad but the p value failed to reach nominal
9 significance. As you now know, study 63 showed a
10 statistically significant between group difference in
11 mortality in favor of tirilazad, with a p value of 0.016.

12 [Slide]

13 In order to better understand the mortality
14 results just described for study 63, we asked the question
15 were there baseline imbalances in this subgroup? I would
16 like to repeat what Dr. Katz already mentioned, that we
17 don't ordinarily do these types of analyses because of their
18 retrospective nature but we felt compelled to do so in this
19 case due to the unusual circumstance created by the
20 selection of a relatively small subgroup as the primary
21 analysis so late in the study.

22 We were particularly interested in this question
23 because the original randomization of the study population
24 was not stratified by neurograde subgroups. Our
25 statisticians point out that this fact makes it more likely

1 for because imbalances and important prognostic factors to
2 occur in subgroup analyses of non-random subgroups. In
3 addition, this subgroup represented approximately 20 percent
4 of the overall population, and the severe reduction in
5 sample size also increased the chance of imbalances at
6 baseline to occur.

7 [Slide]

8 We used a controlled population in study 63 to
9 identify important baseline prognostic factors. We selected
10 4 factors of interest that were adversely associated with
11 mortality in placebo patients. These are age over 65, the
12 presence of intraventricular blood on CT, a thick clot on
13 CT, and the presence of a poor bilateral motor response,
14 abbreviated as PMR2. We selected this last factor because we
15 wanted to find the factor that identified very sick patients
16 within the already quite ill IV/V subgroup because an
17 imbalance at baseline of these very sick patients would be
18 of clinical interest. A patient was said to have a poor
19 bilateral motor response if they had a motor response
20 manifested by the decerebrate rigidity or worse, decerebrate
21 posturing, on both sides of the body. This translated to a
22 motor component score of the GCS of 2 or 1 bilaterally.

23 I should say that this is, by all means, not a
24 complete list. There are undoubtedly other important risk
25 factors that were recorded and others that we don't even

1 know about and could not possibly have been measured.
2 However, my point by presenting this analysis is this, once
3 you select a subgroup for analysis that was not properly
4 randomized at study onset, and substantially reduce the
5 sample size, then the risk for baseline imbalances of both
6 known and unknown prognostic factors increase, often making
7 interpretation of such subgroup analyses difficult even if
8 they were prospectively defined prior to study completion.

9 [Slide]

10 This table shows that in the entire control
11 population in the study of 413 patients these 4 risk factors
12 were, in fact, associated with increased mortality. Of the
13 4, poor bilateral motor response had the highest risk ratio.

14 DR. GILMAN: Dr. Oliva, can we interrupt for a
15 question?

16 DR. OLIVA: Yes.

17 DR. GROTTA: Just a quick question, I know of the
18 first three as being clear-cut, well-known predictors of
19 outcome. How did you arrive at the PMR2? Maybe I just don't
20 know, but is that a known in the subarachnoid hemorrhage
21 literature, and been validated as a poor predictor?

22 DR. OLIVA: No, this was something that we
23 retrospectively constructed with discussion, just selecting
24 some clinical sign that we thought clinically at least made
25 some sense, that if you had the decerebrate posturing on

1 subgroup was 154 so now we are talking about an even smaller
2 subgroup of 63 patients.

3 In fact, this slide shows the mortality in the
4 subgroup of IV and V patients in patients with poor
5 bilateral motor responses and those without. It shows that
6 the apparent decreased mortality was coming entirely from
7 the subgroup of patients with poor bilateral motor responses
8 at baseline, 5/23 versus 23/40. I admit that these are small
9 numbers but, in fact, they are actually larger than the
10 numbers we saw for high neurograde men. Those in the IV/V
11 subgroup without PMR2 showed essentially no reduction in
12 mortality with treatment.

13 So, does this mean that tirilazad only works in a
14 subgroup of IV/V patients, those with poor bilateral motor
15 responses? Well, this certainly makes very little clinical
16 sense. It is difficult enough to imagine why tirilazad would
17 only work in high neurograde patients, but why is the signal
18 only coming from an even smaller group of very ill patients
19 that represents only 40 percent of the IV/V subgroup? I
20 certainly don't have an answer to that question but just as
21 the positive mortality results seen in study 32 came
22 entirely from men and this raised doubts in our mind about
23 the drug's effect in the entire study population, this
24 analysis, at least to me, raises similar doubts about the
25 drug's effect in the entire target population of high

1 neurograde patients.

2 [Slide]

3 To summarize the efficacy data, this slide shows
4 the mortality data from the 4 efficacy studies in the high
5 neurograde subgroups. Mortality was lower numerically in
6 tirilazad for all 4 studies. The analysis of mortality was
7 retrospective in 3/4 studies. The evidence of efficacy in
8 men comes from 2 very small subgroups in studies 32 and 29.
9 The nominal p value for 32, though significant, loses
10 significance when adjusted.

11 In study 63, in the only prospectively defined
12 analysis, there was a statistically significant reduction in
13 mortality in favor of tirilazad. However, the interpretation
14 of the results is limited by the fact that there were
15 baseline imbalances of important prognostic factors. The
16 placebo group was older and sicker than the drug group using
17 PMR2 as a marker. Furthermore, the positive mortality effect
18 seen in the study seems to be coming entirely from a yet
19 even smaller subgroup of the very sick patients.

20 In summary, the positive mortality finding in
21 study 63 has yet to be replicated, in my opinion, in a
22 prospective, randomized, controlled trial in the intended
23 treatment population.

24 [Slide]

25 Now, some of you may certainly disagree with my

1 interpretation of the efficacy data as I have described, but
2 one thing that we cannot disagree about is the source of the
3 data. There were a total of 3,552 treated patients in the 4
4 efficacy trials. The best results in men, whether or not you
5 agree that the data support a drug effect in men, come from
6 study 32. In that study there were 176 men exposed to high
7 dose or placebo, and of these, only 34 were in the high
8 neurograde subgroup.

9 For women the best results come from study 63
10 which treated 823 patients. Of these, 154 were in the high
11 neurograde subgroup and, as I have shown, the mortality
12 signal is coming from an even smaller subgroup of IV/V
13 patients with bilateral poor motor responses.

14 So, out of 3,552 we are left with efficacy data
15 from less than 100. I pose the question can we conclude
16 anything about the efficacy of the drug based on such small
17 numbers?

18 [Slide]

19 I would like to move on now to discuss the target
20 population. Let's assume that tirilazad is effective in
21 neurograde IV and V. How easy will it be in clinical
22 practice to identify those patients who should and should
23 not receive the drug?

24 The obvious answer is to apply the same neurologic
25 grading scale that was used in the clinical trials to

1 identify the IV/V patients. I would like to point out that
2 to our best knowledge the neurograde is not a standard scale
3 that is widely known or used in the medical community. It is
4 an innovative scale that was implemented in these studies
5 because it was felt to be a better predictor of good outcome
6 and mortality. Since the original intention of the drug
7 development program was to demonstrate efficacy of tirilazad
8 in all neurogrades, I don't believe it was ever the original
9 intention that such a scale would need to be applied
10 systematically after approval.

11 [Slide]

12 As I mentioned earlier, it is a scale which
13 requires the calculation of a modified Glasgow Coma score
14 based on the worst motor component using a rather complex
15 imputation algorithm for missing components, those where the
16 modified GCS of 8 or less fall into the high neurograde
17 category. Patients who were intubated had missing verbal
18 scores and an accurate neurograde determination was not
19 possible. In that instance, an arbitrary verb score of 1 was
20 imputed. Since many seriously ill patients are intubated,
21 the neurograde scale as designed really does not allow an
22 accurate neurograde determination in these patients.

23 [Slide]

24 Across all 4 studies 13 percent of patients had
25 missing verbal scores at baseline, presumably most, if not

1 all, due to intubation. But of the patients in the IV/V
2 subgroup almost half, 44 percent, had missing verbal scores.

3 [Slide]

4 This suggests that the neurograde scale as defined
5 in the development program is not the best scale to apply to
6 seriously ill patients because almost half of these
7 classified in the IV/V subgroup had missing verbal scores.
8 This undoubtedly led to misclassification of at least some
9 low grade patients into the high grade.

10 Another point to consider, as Dr. Katz pointed
11 out, is that nimodipine is approved for use only in Hunt and
12 Hess grades I through III. Strictly speaking, a clinician
13 would have to apply two scales, the Hunt and Hess to
14 determine the need for nimodipine and the neurograde to
15 determine the need for tirilazad. It is my personal opinion
16 that in a busy emergency room or intensive care unit setting
17 it is doubtful that the neurograde scale would be applied
18 accurately and consistently, leading to widespread off-label
19 use of tirilazad in low neurograde patients which, I would
20 also like to mention, outnumbered high neurograde patients
21 in these clinical studies by about 4:1.

22 [Slide]

23 This leads us to the third question for
24 discussion, what is the risk of treatment to low neurograde
25 patients? Ordinarily the misclassification of a patient

1 resulting in an inappropriate use of a medication is not a
2 serious concern if the medication, at the very least, has no
3 adverse effect on the individual. But in the case of
4 tirilazad we do have some evidence from the efficacy data
5 that low neurograde patients treated with tirilazad have a
6 worse outcome.

7 [Slide]

8 Here I show again the 3-month GOS results in study
9 63 of low neurograde patients. It shows that those treated
10 with tirilazad did worse, with odds ratios greater than 1
11 for each analysis. Mortality was also numerically, but not
12 statistically, higher in this group as well.

13 This is the very same study, as you recall, which
14 also revealed a positive mortality effect in the high
15 neurogrades. Now, this trend is not seen in either 65 or 32.

16 [Slide]

17 But let's look at study 29. This is the only other
18 study which showed a numerically lower mortality effect with
19 tirilazad therapy only in the high neurograde men but not in
20 the low grades. When one looks at the subgroup of low
21 neurograde men treated with the high dose, one sees a
22 similar trend.

23 [Slide]

24 This figure shows the distribution of GOS scores
25 in low neurograde men in that study, study 29. The blue here

1 represents patients that have a GOS of 1 or good recovery.
2 One can see that numerically a lower percentage of
3 tirilazad-treated men had a good recovery compared to
4 placebo. This was largely due to a shift from a good
5 recovery into the next category, moderate disability, shown
6 in the green. Notice that the vegetative/death group was
7 also larger in this treatment group, but only slightly so. I
8 only point this out to show that the worsening in one group
9 was not accompanied by improvement in another.

10 [Slide]

11 This chart compares the proportion of men
12 achieving a good recovery at 3 months, and it shows a lower
13 percentage of good recovery in tirilazad-treated men. The
14 nominal p value here was 0.05.

15 Now, just as one can argue that the post hoc
16 subgroup analysis of mortality in the high neurograde groups
17 is inappropriate, the same arguments can be made here with
18 equal validity. Assuming the interpretation that tirilazad
19 has an adverse effect on functional outcome in low
20 neurograde patients is not true, then we must explain these
21 findings in some other way. One other possible explanation
22 that the results of study 63 and 29 resemble what one might
23 expect from studies that are overall negative, where
24 analysis of one subgroup goes in one direction due to chance
25 and the analysis of the complementary subgroup goes in the

1 opposite direction. The only difference, of course, is that
2 the analysis of the high neurograde subgroup in study 63 was
3 the prespecified primary analysis.

4 [Slide]

5 I show this relatively busy chart to illustrate
6 that the worsening in functional outcome seen in the low
7 neurogrades in both studies 63 and 29 is at least
8 numerically supported by the mortality data. This chart
9 shows the relative risk of dying on tirilazad therapy by
10 study, and subdivided by neurograde. The blue here
11 represents I, II and III and the maroon is IV and V.

12 Studies 32 and 29 used the data for high dose men
13 only. A risk ratio less than 1 -- so anything below this
14 line favors tirilazad. The blue bars, again, are the low
15 neurogrades. I drew in the black vertical lines to represent
16 the 95 percent confidence intervals which, one can see, are
17 usually very wide and include 1 in most cases. So, all we
18 can really say is that these are numerical trends. The
19 absolute numbers for each subgroup are shown below out of
20 interest. Remember that there were no deaths in the high
21 neurograde men from study 32, which is why the relative risk
22 there is zero.

23 Anyway, one can see that the relative risk for
24 high neurogrades is numerically less than 1 for each study,
25 as I described earlier, however, the relative risks in 65

1 and 63 for the low neurogrades are greater than 1.

2 [Slide]

3 Finally, I would like to discuss the effect of
4 nimodipine in this population of severely ill patients and
5 what effect this may have on the interpretation of the data.
6 As you know, all patients in all neurogrades received
7 concomitant nimodipine and, according to the nimodipine
8 product labeling, it is approved for Hunt and Hess grades I
9 through III only. So, this raises the question in our minds
10 what is the effect of nimodipine on mortality in the high
11 neurograde patients.

12 We don't know the answer to that, but there is
13 some evidence in the literature to suggest that nimodipine
14 may increase mortality in patients with poor neurologic
15 grades. I am referring to a paper that was published in The
16 Journal of Neurosurgery in 1998, describing the results of a
17 Canadian study. This study is also described in the product
18 labeling for nimodipine.

19 [Slide]

20 This was a randomized, double-blind,
21 placebo-controlled multicenter trial which enrolled 188
22 patients with Hunt and Hess grades III through IV at
23 baseline. The dose used was 90 mg every 4 hours which is
24 higher than the recommended dose of 60 and may have been too
25 high. The primary outcome was a 3-month GOS and it showed

1 that a higher proportion of patients on nimodipine achieved
2 a good recovery. They also looked at mortality.

3 [Slide]

4 Here are the mortality results from that study.
5 Mortality at 3 months in the nimodipine group was 54 percent
6 compared to placebo at 30 percent. Now, the study did not
7 report a p value but our chi square and shows a nominal p
8 value of 0.044.

9 To the extent that Hunt and Hess grades III
10 through V patients are similar to neurogrades IV and V,
11 there exists at least a possibility that the use of
12 off-label nimodipine in these patients may increase their
13 mortality.

14 We are also aware of the results from a tirilazad
15 plus nimodipine interaction study in animals which was
16 submitted with the original NDA. This study suggested the
17 presence of an adverse interaction between the two drugs. In
18 that animal study the beneficial neuroprotective effects of
19 either drug used alone on the hippocampus and lateral cortex
20 paradoxically decreased when the two drugs were used in
21 combination.

22 [Slide]

23 So, what can we say about the combination use of
24 tirilazad plus off-label nimodipine in high neurograde
25 patients? Well, not much at this point, except that there

1 exists at least the possibility that tirilazad reverses the
2 adverse effect on mortality of nimodipine in high neurograde
3 patients but that the overall mortality may still be higher
4 compared to a true placebo without nimodipine. Since a trial
5 placebo arm was missing from all the studies, this
6 possibility cannot be excluded.

7 [Slide]

8 In summary -- and this is my last slide -- I close
9 my talk by again showing the questions I raised during my
10 discussion. For question number one, is there substantial
11 evidence of efficacy? I have presented the efficacy data for
12 the four large multicenter trials, with an emphasis on
13 effects on mortality in the high neurograde patients. In all
14 four studies there was a numerical mortality advantage of
15 tirilazad over vehicle. In only one trial, study 63, was the
16 study positive on its prespecified primary designated
17 endpoint. However, in this study we found baseline
18 imbalances of important prognostic factors in that subgroup,
19 and the positive mortality signal appeared to come from an
20 even smaller subgroup of more severely ill patients.

21 The other three studies rely on retrospective
22 analyses of the high neurogrades. Since studies 29 and 65
23 were both negative studies, the positive numeric trend seen
24 in men from studies 32 and 29 come from very small
25 subgroups. Study 32, though nominally positive in high

1 neurograde men, was negative in this subgroup when we apply
2 minimal adjustments to the p value.

3 For question number two, can clinicians identify
4 the target population easily and accurately, I discussed the
5 neurograde scale and the difficulties associated with
6 identification of the high neurograde population using this
7 scale, as well as the high incidence of missing verbal
8 scores in the IV/V subgroup. Since the low neurograde group
9 was roughly four times larger, I suggest that
10 misclassification of patients in clinical practice would
11 likely lead to widespread off-label use of the product.

12 For question number three, is there a risk to low
13 neurograde patients, I described the possible risks to
14 off-label use in the much larger group of low neurograde
15 patients by examining the unfavorable 3-month functional
16 outcome results from studies 63 and 29, and showing the
17 numerically higher risk ratios in 65 and 63.

18 For the last question, what is the effect of
19 concomitant nimodipine in high neurograde patients, I
20 discussed the possibility that nimodipine may increase
21 mortality in these patients and that the combination of
22 tirilazad plus nimodipine may not produce any overall
23 mortality benefit compared to a placebo arm without either
24 medication.

25 This concludes my presentation. Thank you very

1 much for your attention.

2 DR. GILMAN: Thank you. That was a model of
3 clarity, as was your presentation in the book that we read.
4 Any questions? Dr. Brooke?

5 DR. BROOKE: I wonder if I can make a comment
6 about mortality, which is obviously a very useful marker and
7 became popular with the large cardiovascular studies. I am
8 going to turn to another illness, actually, in amyotrophic
9 lateral sclerosis there is a drug available which will
10 prolong life but doesn't improve function. So, many of the
11 people faced with this choice refuse the drug because they
12 say, "why would I want to live for a longer period of time
13 like this?" And, I wonder about the quality of life. I know
14 there was no quality of life measure in these studies but I
15 think we should keep in mind -- we have been arguing about
16 whether mortality is or is not affected but prolonging the
17 life of someone who is extremely disabled and dependent upon
18 the hospital to exist, we should just bear that in mind when
19 we are looking at these deaths. So, I don't think that
20 prolonging mortality by itself is per se a good thing. It
21 may be a good thing but it isn't per se a good thing. We
22 should just bear that in mind.

23 DR. GILMAN: Dr. Van Belle?

24 DR. VAN BELLE: Yes, I would second the comment --
25 this was an excellent presentation. With statistics there is

1 usually a distinction between exploratory analysis and
2 confirmatory analysis, and I think a lot of the analyses
3 that were done by the sponsor would come under the category
4 of exploratory in the sense that they were post hoc and not
5 prespecified.

6 In fairness to the sponsor, if you go back now and
7 consider the reanalysis that you did of study 32 with the
8 high neurograde patients, since this was completely
9 unanticipated at that time, would you consider that result
10 to be exploratory or confirmatory?

11 DR. OLIVA: Well, it was completely retrospective,
12 not specified in the study so I think it would meet your
13 definition of exploratory, but we felt we had to look since
14 that was the target population for which the drug would be
15 indicated.

16 DR. VAN BELLE: I would not agree with that. I
17 would say that it would be confirmatory in the sense that
18 you would consider the initial exploratory analysis in the
19 later study to be exploratory and, since this was not
20 anticipated, I would classify it as confirmatory.

21 DR. OLIVA: Well, I guess I would have to agree
22 with you on that point since it was done temporally after we
23 had seen the results for the later studies.

24 DR. GILMAN: Why is that important for us to
25 determine?

1 DR. VAN BELLE: Well, I think there are enough
2 problems with the study that we should not find problems
3 that aren't there. In other words, I think that going back
4 to the original study and doing an analysis that was not
5 anticipated or planned at that time, and to find the same
6 result at that time, I think has a little bit more
7 inferential strength than just doing repeated analyses
8 without any prespecified hypotheses.

9 DR. GILMAN: But that then really augments the
10 finding that it is a small group that accounted for this
11 nominal significance.

12 DR. VAN BELLE: Right, and I think that in terms
13 of the overall pattern there are still enough issues to be
14 discussed, as we will do when meet with the sponsor but, in
15 fairness, in terms of a category of
16 exploratory-confirmatory, I would lean more towards a
17 confirmatory result.

18 DR. OLIVA: I think we have a comment from our
19 statistician, Dr. Cui.

20 DR. CUI: I am the statistical reviewer of
21 Freedox. To me, study 29 for males is sort of exploratory
22 because in this study the prespecified primary endpoint is
23 vasospasm and the finding for the males basically is a post
24 hoc analysis. When you go to the small high neurograde
25 patient group, to me, it is exploratory, post hoc in nature.

1 DR. VAN BELLE: I am not arguing that it is post
2 hoc. I am thinking about the label exploratory-confirmatory.

3 DR. GILMAN: Just to make this distinction clear,
4 if the two statisticians disagree, exploratory would carry
5 less weight. Is that the idea?

6 DR. VAN BELLE: Right. You know, when the FDA
7 talks about two independent studies that is at least in part
8 what they have mind. The second study confirms the results
9 from the first study.

10 DR. GILMAN: Dr. Temple?

11 DR. TEMPLE: Remember, although 32 didn't meet its
12 primary endpoint of vasospasm, it met the secondary endpoint
13 overall, without subdividing, for the whole group, that
14 turned out to be carried entirely by men. So, the
15 subanalyses of men and subanalyses of very sick men are all
16 attempts to look within and overall sort of positive study.

17 But I think the main reason for doing that is
18 because all the rest of the data don't show anything in any
19 overall group of males or females, but show what effect
20 there might be in only a subset. So, going back to that
21 original study in men, 32, to look at the specific subgroup
22 that might be positive in the others is an attempt to see if
23 you are being fooled. For example, if all of the action was
24 in the I through III group, well, that would make even less
25 sense than it already does, and I think that was the purpose

1 of it. So, I mean, all of these things are exploratory but
2 the real reason was to go back and look at where the data
3 were in the women and see if you could find the same sort of
4 thing in the men in what was, however, a basically positive
5 study once you buy off on the change in endpoints from
6 vasospasm.

7 DR. CUI: May I say something?

8 DR. GILMAN: Dr. Cui, yes, please.

9 DR. CUI: This is just regarding what is a
10 confirmative trial. For a confirmative trial usually we
11 require a well-conducted trial, randomized, prespecified
12 endpoint. So, if you do the study and have a significant
13 finding you can attribute that finding to the treatment
14 difference for the drug. That is a confirmative trial. But,
15 say, for study 32, yes, we see sort of a trend, that is
16 right but this study doesn't satisfy all the conditions for
17 a well done confirmative trial. That is my point.

18 DR. GILMAN: Well, that is clear. Dr. Drachman?

19 DR. DRACHMAN: You found that in study 73, I
20 think, the very riskiest patients, those with bilateral
21 motor defects, did the best. Now, this is sort of counter
22 intuitive. My guess would have been that when you looked at
23 the balance this group would have been somewhat better. In
24 other words, if the drug failed to work, then you would
25 assume that the non-randomized imbalance would have favored

1 the group that is better. What is your explanation for why
2 the tirilazad group with the bilateral decerebrate
3 clustering did better?

4 DR. OLIVA: That is a very excellent question and
5 it is one that I have thought about considerably, and I am
6 sorry to say I don't have an adequate explanation, other
7 than, you know, we are subdividing the data into smaller and
8 smaller groups and at some point I think we just start
9 seeing noise; we just start seeing chance findings that have
10 no easy interpretation. I don't know if you have any other
11 thoughts on that.

12 DR. CUI: Actually, this was done by me at the
13 very beginning. I first felt the motivation to do that. The
14 first thing is the nature of the subgroup analysis for these
15 high neurograde patients. I assume you understand that if
16 you do that without stratified randomization you incur the
17 chance of imbalance in the baseline for prognostic factors.
18 That made me worried; I wanted to check something. If I want
19 to check something I want to find some indicators to check
20 something. I know there may be many things, even some
21 factors not measured in this study.

22 Now, I have some questions regarding that
23 neurograde classification. I think it is too rough for
24 neurograde V patients or it has missing verbal responses to
25 impute the score for the verbal response of 1. Okay? But if

1 in these patients other things are okay, then these patients
2 are classified to a higher neurograde but may still have a
3 much better situation. If one person is only paralyzed in
4 one arm three limbs are okay, but this person is categorized
5 as a neurograde V, but to compare this person with a person
6 paralyzed in all four limbs, I think this is quite
7 different. So I want to explore the nature of this kind of
8 neurograde classification so I want to see what happened
9 with the really sick patients.

10 I also think this somehow addresses the question
11 related to the overall trend that we find for the studies.
12 Basically, for the low neurogrades the drug tended to have a
13 worse mortality outcome, but for high neurograde, sicker
14 patients the outcome is inferior of the drug. Now I want to
15 see if there is this trend if you identify even sicker
16 patients. So I did that and we see the trend. So, at that
17 time I thought a lot about how to explain that. I asked Dr.
18 Oliva and he said there seems no biological explanation for
19 that.

20 Then I started to worry about the effect of
21 nimodipine and I talked to Dr. Oliva about nimodipine, and
22 he happened to mention that nimodipine was only approved for
23 neurograde I to III patients. So I don't know how to explain
24 that, but does that attribute to the effect of nimodipine or
25 attribute anything else? We don't know. This is the finding

1 but the point is that anywhere where you find that there is
2 a strong interaction between the treatment and the so-called
3 PMR2.

4 DR. KATZ: I have a question and a comment. First
5 of all, did you look at the PMR2 subgroup across studies?

6 DR. CUI: Yes, I did the same thing for study 65.
7 The nominal p value for the overall neurograde IV and V is
8 about 0.4, something like that. But if you restrict it to
9 the very ill patient group with PMR2 the p value is
10 significantly dropped to 0.08. So, the trend is similar.

11 DR. KATZ: The comment was, Dr. Drachman, you said
12 that these PMR2 patients did better. It is not exactly clear
13 what better means. If there is a drug effect, it seemed to
14 be coming from well. How well they actually did is something
15 to look at.

16 DR. DRACHMAN: But these are the ones who survived
17 better. These are just the ones I would not have expected to
18 have better survival.

19 DR. TEMPLE: You may want to look at the data. I
20 don't think they actually survived better; they had a bigger
21 drug effect. That is different. Actually, I was looking at
22 that. I think they got up to a survival that was almost as
23 good as the people who weren't in that category on
24 treatment, and they were considerably worse when they were
25 untreated.

1 DR. GILMAN: That is correct.

2 DR. TEMPLE: So it is where the drug effect might
3 have been, if there is one, it is not that they did better.
4 They responded better arguably because they had more to
5 gain.

6 DR. KATZ: But it still begs the question as to
7 how you explain this from a biological point of view, if
8 that is necessary.

9 DR. CUI: I have one more comment. Usually when
10 you see this kind of treatment by prognostic factor
11 interactions, it is very hard. It imposes a difficulty to
12 interpreting the oral finding. Okay? In this case, if you
13 approve the drug for high neurograde IV/V patients --
14 suppose this is true, then you actually treat with the drug
15 about 40 percent of the patients. For 60 percent of the
16 patients the drug has no effect. That is the problem. If for
17 60 percent of patients the drug effect is there but for 40
18 percent of patients with no effect I would feel better.

19 DR. GILMAN: Any other questions from the
20 committee for Dr. Oliva or Dr. Cui? If not, it is 10:20 and
21 I would suggest a 15-minute break. Let's resume at 10:35.

22 [Brief recess]

23 DR. GILMAN: Let's resume again. I want to
24 apologize to Dr. Oliva for mispronouncing his name at the
25 end of the last session. Dr. Katz wanted to make a comment

1 before we begin.

2 DR. KATZ: Thanks. Yes, before we get into the
3 safety just a couple of comments based on other comments at
4 the end of the efficacy discussion.

5 About Dr. Brooke's comment about looking for
6 meaningful survival, if you will, if you actually look at
7 the non-approvable letter we said that what we wanted to be
8 shown in the next study that would, hopefully, replicate the
9 finding in study 32 was an effect on mortality and favorable
10 outcome. So, I think we were cognizant of the fact that
11 simply decreasing mortality at the expense of, you know,
12 increasing the number of vegetative patients would be
13 meaningless. So, we thought about it and I think we can talk
14 more about that.

15 The other point I want to make is a difficult one
16 to make and it has come up several times, and I am going to
17 try and clarify what we thought had been shown prior to this
18 resubmission vis-a-vis the effect of the drug in men because
19 there is some sense that the advisory committee thought or
20 the agency thought that we had shown that the drug had been
21 shown to be efficacy in men. To the extent that that is an
22 important point, I think it is useful to sort of clear the
23 air about that.

24 I do not believe that the agency had concluded
25 that the drug was effective in men. Had we concluded that, I

1 believe we might have approved it for use in men. What we
2 believe -- and there may have been people on the advisory
3 committee who believed otherwise but what we believed was
4 that there had been a showing of statistical significance on
5 mortality in one study in men, which is not the same thing
6 as saying that the drug had been shown to be effective in
7 men. We ordinarily require replication in order to conclude
8 that and, in fact, to conclude that the statistical
9 significance that was seen was attributable to the
10 treatment. Without replication you are hard-pressed to say
11 that. So that is really what we had concluded, and we needed
12 replication. We chose to allow the sponsor to provide that
13 replication in a study in women. You had raised the question
14 about whether or not we had looked at in men. That is a
15 different question. How you replicate it we can discuss. We
16 did what we did. But the point is that we had not concluded
17 that it was effective in men. We concluded that there was a
18 statistically significant difference in one trial in men and
19 that it would need to be replicated before we were willing
20 that it was treatment related or effective.

21 DR. TEMPLE: And I would go one step further. The
22 reason that study was persuasive was that the effect was
23 present overall, not just in men, although when you looked
24 it turned out to be driven entirely by men. So, the reason
25 for asking for the second study in women was to overcome the

1 nagging suspicion that this worked only in one gender, in
2 one sex. But it was to get another piece of evidence that it
3 actually worked. Maybe that doesn't matter.

4 DR. GILMAN: Well, the basis of my question was
5 that you had one positive and one negative study as far as I
6 was concerned, just retrospectively looking at the data.
7 That is why I asked the question.

8 All right, let's turn to safety issues. Dr. Judith
9 Racoosin, medical officer, will discuss these.

10 **Safety Issues**

11 DR. RACOOSIN: Good morning. I would like to give
12 the same slide disclaimer as Dr. Oliva gave. My presentation
13 will be slightly different from the copy of the slides that
14 you have.

15 [Slide]

16 This morning I will be focusing my comments to
17 safety issues pertaining to the approvability of tirilazad.
18 In doing so, my discussion will be limited to mortality and
19 selected serious adverse events.

20 [Slide]

21 I will begin by elaborating on the mortality
22 differences across neurograde strata presented by Dr. Oliva.
23 I will then discuss mortality findings in non-pivotal
24 subarachnoid hemorrhage studies. Next I will review the
25 mortality experience in the acute ischemic stroke and head

1 injury indications. It is important to review this data
2 because development of tirilazad was halted for both of
3 these indications due to tirilazad-associated mortality
4 excesses observed in large Phase III trials.

5 [Slide]

6 Before proceeding, I would like to discuss the
7 limitations of the safety review. First, as you well know,
8 subarachnoid hemorrhage patients are complicated. In each
9 patient any combination of many different intracerebral
10 processes were going on simultaneously. These included the
11 direct effect of the initial bleed, cerebral edema,
12 vasospasm, cerebral infarction, rebleed and angiographic
13 and/or intraoperative complications. Furthermore, many
14 patients had cardiovascular or pulmonary complications.

15 However, the review of such complicated patients
16 could have been made easier by the sponsor by providing more
17 informative narratives. Unfortunately, the narratives
18 provided by the sponsor consisted only of a summary of the
19 case report form. It was difficult to understand how the
20 events related in the narrative related to each other in
21 time, and a few details explaining these events were
22 included.

23 Let me elaborate on that last point. In the whole
24 NDA little, if any, supporting data was provided for the
25 interpretation of deaths, discontinuations and adverse

1 events. Let me give you some examples. Patients who had
2 serious adverse events called cerebral edema or intracranial
3 hypertension did not have CT scan data or pertinent clinical
4 data provided to explain that finding. Patients with ARDS
5 had no chest x-ray data or Swann-Ganz catheter or pressure
6 data. Patients with pancreatitis or thrombocytopenia had no
7 pertinent lab values included in the narratives. This lack
8 of data limited our ability to evaluate which events might
9 be drug related and which might be related to the patient's
10 underlying condition. Please keep this in mind as I discuss
11 these issues this morning.

12 [Slide]

13 This table summarizes the mortality rates by
14 neurograde for study 63 and 65. As you know, the endpoint
15 for the efficacy analysis was 3 months. In my safety review,
16 however, I used a study day 20 cut-off for measuring the
17 frequency of death and adverse events. I chose this shorter
18 period of time because in most cases the more proximal an
19 event is to the drug exposure the more likely it is to be
20 related to it. Day 20 was specifically chosen because the
21 drug has a long half-life of 61 to 120 hours. So, it was
22 likely that the drug was still present in the patient's
23 system 10 days following the end of the infusion.

24 In the table you can see the mortality risk for
25 vehicle and tirilazad for the low neurograde patients and

1 high neurograde patients in study 63 and 65 at 20 days and
2 91 days. These 91-day values are the same values that Dr.
3 Oliva presented. As you can see, although the percentages
4 are understandably smaller at 20 days, the relative risks
5 are very similar to those seen at 91 days.

6 As before, the low neurograde patients who were
7 treated with tirilazad were at an elevated risk of mortality
8 and the tirilazad-treated high neurograde patients were at a
9 decreased risk of mortality. Now, 1.3 may not seem like a
10 huge relative risk but in mortality 1.3 correlates to a 30
11 percent increase in mortality. So, this is something that we
12 would want to investigate. The p value on this is only about
13 0.3 to 0.4 in the 2 studies, what you would consider a weak
14 signal but, again, in mortality we like to be certain of
15 what we are looking at.

16 In trying to understand why this pattern of
17 tirilazad-associated mortality occurred, I reviewed case
18 report forms -- yes?

19 DR. GILMAN: Can we have a question?

20 DR. KAWAS: I just wanted clarification. You said
21 0.3 or 0.03?

22 DR. RACOOSIN: 0.3.

23 DR. KAWAS: 0.3 and 0.4.

24 DR. RACOOSIN: Yes.

25 DR. KAWAS: So, not significant.

1 DR. RACOOSIN: Correct, but let me just comment
2 that in safety we don't generally use the same strict
3 cut-offs that are used in efficacy to denote a statistically
4 significant positive study with 0.05.

5 DR. KAWAS: I just wanted to make sure that we are
6 talking about 0.4 now.

7 DR. RACOOSIN: Correct, 0.3 to 0.4.

8 I reviewed the case report forms and death
9 narratives and analyzed the cause-specific mortality by
10 neurograde strata. In reviewing the death narratives it
11 became obvious that assigning one single primary cause of
12 death in these patients was very difficult and also seemed
13 somewhat arbitrary because so many different processes were
14 going on at the same time. I did not find one single
15 specific cause of death that explained the pattern of
16 mortality risk in the low neurograde group.

17 [Slide]

18 Since the review of cause of death by neurograde
19 was unrevealing, I then looked at treatment emergent adverse
20 events, serious adverse events by treatment group and
21 neurograde strata in all body systems. Let me comment here
22 that a serious adverse event was denoted by the
23 investigator. The regulatory definition includes
24 life-threatening events, events that require hospitalization
25 -- of course, these patients were already hospitalized --

1 and events that prolong the hospitalization.

2 For ease of analysis of adverse events the sponsor
3 coded each investigator verbatim term into a broader
4 category, called a COSTART term. The COSTART term in theory
5 encompasses all the verbatim terms assigned to it. So, when
6 I talk about COSTART terms today, for example, here, edema
7 brain, the investigator verbatims were cerebral edema, brain
8 edema and so forth but the sponsor categorizes them into a
9 broader category called edema brain. I am also going to be
10 discussing intracranial hypertension, the COSTART term for
11 that, and that included increased intracranial pressure,
12 cerebral herniation and those sorts of specific verbatim
13 terms.

14 When I reviewed the frequency of serious adverse
15 events I found that the occurrence of the COSTART term edema
16 fit the same risk pattern as that of mortality shown in the
17 previous table. Again, I want to reiterate that all we had
18 to go on was the investigator's verbatim term related to
19 edema brain. I can't tell you how many patients had this
20 based on radiologic findings or clinical findings.

21 This table shows the occurrence or the percentage
22 of patients who had the serious adverse event of edema brain
23 in the vehicle group and tirilazad group at low and high
24 neurogrades in study 63 and 65. Again, you can see that low
25 neurograde patients in both studies were at an elevated risk

1 for having the serious adverse events although it is more
2 marked in study 63. High neurograde tirilazad-treated
3 patients appeared to have a decreased risk of serious
4 adverse events. A similar pattern was seen with the COSTART
5 term intracranial hypertension, although again the relative
6 risks were not as marked.

7 [Slide]

8 I am going to now discuss the mortality experience
9 in the Phase II trials. Study 0007 was a Canadian study and
10 study 19 was a Japanese study. Both of these were early
11 Phase II dose escalation trials. They differed from the
12 Phase III trials on two important issues: one, the patients
13 were allowed to be enrolled up to 72 hours and, secondly,
14 the sickest patients, the neurograde V, were not enrolled --
15 they were excluded from enrollment. Additionally, patients
16 in study 19 did not receive nimodipine.

17 Both studies were performed in a tiered fashion.
18 So, the lowest dose was done compared to a vehicle group and
19 the safety results were reviewed. Then, once it was observed
20 to be safe, the next dosing level was initiated, and each
21 dosing level had a placebo group with it.

22 When the mortality was compared in study 19
23 overall and it is not shown well here on this slide, but the
24 overall comparison of mortality to vehicle in the treated
25 group versus the vehicle group had an odds ratio of 6.5 for

1 mortality. The p value on this was 0.07. As you can see, in
2 the female group in both studies there was a dose response
3 for mortality, in both 0007 and study 19, and you can see
4 that the tirilazad 0.6, high dose females, both had
5 substantial mortality in that group. When the comparison was
6 made of the high dose females in both studies to vehicle the
7 difference was statistically significant. The p was 0.04 in
8 this study and the p was 0.02 in this study. A similar risk
9 for high dose women was not observed in the larger studies,
10 32 and 29.

11 [Slide]

12 Three studies were performed to compare safety
13 across dose levels of tirilazad. These three studies did not
14 have a vehicle control. Study 62 enrolled men only at the
15 dose of 6 mg/kg/day versus 10 mg/kg/day. Study 55 included
16 men and women with dose levels 6 mg/kg/day, 10 mg/kg/day and
17 15 mg/kg/day and study 56 enrolled men and women at 10
18 mg/kg/day and 15 mg/kg/day.

19 In study 55 there was an excess mortality risk in
20 the highest dose group. So, the lower two doses had about 10
21 percent mortality and the high dose had 15 percent, and the
22 p value for the comparison of 25 percent to 11 percent was
23 0.2. There was little difference in mortality risk between
24 treatment arms in study 56 and study 62.

25 DR. GILMAN: Question, Dr. Grotta?

1 DR. RACOOSIN: Yes?

2 DR. GROTTA: This brings up a question I was going
3 to actually ask later, and that is, I mean, if we accept the
4 fact that men and women metabolize the drug differently, the
5 dose of 15 mg/kg/day obviously is different in men and
6 women. So, I guess the question I have is do we have
7 evidence in men that 15 mg/kg/day is too high? I mean, these
8 are data from men and women.

9 DR. RACOOSIN: Right, and in this particular study
10 when we broke out the mortality by gender, both men and
11 women contributed the same amount. So, in that high group
12 there were 3/12 men who died and then there were 5/20 women.
13 So, they contributed equally to that.

14 DR. GROTTA: I guess when we hear the company's
15 presentation maybe they will address the question of how
16 they arrived at 6 mg as the highest dose in men and didn't
17 go higher in the development phase of the drug.

18 DR. GILMAN: Just to follow-up on that, it is
19 premenopausal women in whom metabolism is higher, but
20 postmenopausal women presumably not.

21 DR. RACOOSIN: In postmenopausal women there is
22 only about 10-15 percent difference from what I understand,
23 and these numbers are from single-dose studies. The
24 premenopausal women had the much higher clearance as
25 compared to men, and postmenopausal women had somewhat of a

1 higher clearance but only about 10-15 percent. But as we
2 have come to understand in multiple dosing studies,
3 especially when there is the addition of phenytoin in many
4 of these patients, the differences in the clearance between
5 gender become much smaller.

6 [Slide]

7 I would like to discuss the mortality experience
8 in the acute ischemic stroke indication now. The efficacy
9 and safety of tirilazad in acute ischemic stroke was
10 evaluated in several trials, culminating in the Phase III
11 studies 88, conducted in Europe and Australia and 81,
12 conducted in North America.

13 Studies 81 and 88 used a dose of 10 mg/kg/day for
14 men and 12 mg/kg/day for women for 12 doses. This was
15 compared to a vehicle arm. After 355 of a planned 910 had
16 been enrolled in study 88 the safety data monitoring board
17 recommended termination of the study due to an increased
18 mortality in tirilazad-treated subjects. Study 81 was
19 terminated at this time as well. Only 126 of a planned 890
20 had been enrolled.

21 [Slide]

22 This table shows the mortality risk by time since
23 study entry. So, these are the mortality risks for vehicle
24 and tirilazad patients for study 88 and study 81 at 3 days,
25 5 days, 10 days and 3 months. The 10-day and 3-month time

1 periods -- these were calculated by the sponsor. The FDA did
2 an additional analysis at 3 and 5 days.

3 As you can see, the greatest relative risk for
4 mortality for tirilazad-treated patients is at 3 days, and
5 this is right around the end of the infusion period.

6 DR. DRACHMAN: What did they die of? What was the
7 cause of death? Do we know that?

8 DR. RACOOSIN: I am going to address that in the
9 next slide.

10 As you can see, the relative risk does decrease
11 over the course of the study, yet it is still elevated at
12 the end, and you can see the risk difference between the 2
13 groups. It is about 3 percent here, and this is maintained
14 over the course of the study, and it is about 4 percent at
15 the end.

16 After the sponsor did an analysis adjusting for
17 age and baseline neurologic status there was still a
18 statistically significantly increased mortality risk
19 associated with tirilazad exposure. This excess
20 tirilazad-associated mortality risk was not observed in
21 study 81, however, again, only about 50-odd patients had
22 been enrolled in each treatment arm at that time.

23 [Slide]

24 Now getting to your question, death narratives
25 were reviewed to gain insight into the tirilazad-associated

1 mortality excess observed in study 88. There was an excess
2 of deaths. Here you can see for study 88 vehicle and
3 tirilazad and these were the cause of death categories.
4 There appeared to be an excess of deaths in the tirilazad
5 group in the extension of admission infarct and in the
6 hemorrhagic conversion. This wasn't observed in study 81.

7 DR. GILMAN: Another question.

8 DR. RACOOSIN: Yes?

9 DR. GROTTA: I would just like to make a comment.

10 I mean, I don't know whose decision it was to stop that
11 study, but it seems to me that those mortality figures are
12 not all that bothersome. In fact, the mortality figure in
13 the vehicle group was pretty low in the 88 study, and that
14 may be the reason why a difference was seen. Actually, if
15 you look at the various mortality rates, it is not that the
16 tirilazad groups are high compared to common experience but
17 in 88 the vehicle rate was low and in 81 the vehicle rate
18 was extremely high. With these small numbers I am actually
19 kind of surprised -- of course, I wasn't involved and wasn't
20 there, but I am kind of surprised by the conclusion that
21 there is a significant mortality difference, enough to stop
22 a study.

23 DR. RACOOSIN: As I said, this was the
24 recommendation of their treatment monitoring committee.

25 [Slide]

1 Now I am going to discuss the mortality experience
2 in the head injury indication. Study 17, a North American
3 study, and study 36, done in Europe, Australia and New
4 Zealand, were multicenter, randomized, vehicle-controlled
5 safety and efficacy studies of tirilazad in patients with
6 moderate or severe head injury. Moderate head injury was
7 defined as a baseline Glasgow Coma Scale of 9-12 and severe
8 was 3-8.

9 Both studies used a dosing regimen of 10 mg/kg/day
10 for all patients for 5 days versus a vehicle group. Study 17
11 was suspended on advice fm the treatment monitoring
12 committee just short of study completion due to increased
13 mortality in tirilazad-treated subjects. Study 36 was
14 completed as planned, with a total of 1,131 patients.

15 [Slide]

16 Here we have the mortality risks in vehicle and
17 tirilazad groups in study 36 and study 17 at 14 days, 3
18 months, 6 months and 12 months. Starting at 14 days, there
19 was an elevated relative risk for mortality that was
20 maintained over the course of the study. The p value for
21 this was 0.055 and thereafter the p value was less than
22 0.05. When risk of mortality in study 17 was calculated by
23 baseline severity tirilazad-treated patients that fell into
24 the moderate designation had a higher mortality risk as
25 compared to those in the severe group.

1 [Slide]

2 The sponsor did an analysis that identified
3 differences in baseline factors between the vehicle and
4 tirilazad groups. The sponsor asserted that after adjustment
5 for imbalances in baseline characteristics no difference in
6 mortality was found between the two treatment groups. This
7 conclusion was based on a change in p value from a
8 significant level to a non-significant level, up to a p of
9 0.11.

10 The FDA's repetition of this analysis confirmed
11 that adjustment for baseline characteristics that the
12 sponsor used, which were Glasgow Coma Scale, CT scan
13 findings, pupil reactivity, systolic blood pressure and age,
14 did raise the p value above 0.05. However, the FDA analysis
15 also showed that while the p value changed the relative risk
16 only changed about 10 percent, from 1.37 to 1.23, suggesting
17 that the imbalanced distribution of baseline characteristics
18 across treatment groups did not fully explain the mortality
19 excess in the tirilazad group. Furthermore, as I mentioned
20 earlier, the p value of 0.11 for mortality was still
21 considered by us to be a safety signal.

22 We reviewed the death narratives in case report
23 forms to try and identify a specific cause of death that
24 might explain the mortality excess in the tirilazad group.

25 [Slide]

1 The primary cause of death was assigned by the
2 investigator. The mortality excess in the tirilazad group in
3 the study appeared to be primarily explained by an excess of
4 deaths in the category related to elevated intracranial
5 volume and, within that category, herniation.

6 You can see that 12 percent of the patients in the
7 study died due to reasons relating to elevated intracranial
8 volume, and these are the specific causes of death that were
9 included in that category, and only 9 percent of patients in
10 the vehicle group died of these causes. Within that, you can
11 see for herniation 5.8 percent of patients in the study died
12 due to herniation, who were treated with tirilazad, compared
13 to 3.2 percent of the vehicle patients.

14 The 2 groups had similar numbers of herniations on
15 study days 1 and 2, with 14 in the tirilazad group and 12 in
16 the vehicle group. The difference in mortality due to
17 herniation occurred between study days 3 and 7, with 13 in
18 the tirilazad group and 4 in the vehicle group.

19 [Slide]

20 In order to get a sense of how unexpected it would
21 be to observe two studies that had statistically significant
22 mortality excesses associated with the drug among the
23 non-subarachnoid hemorrhage studies that were conducted, we
24 calculated the binomial probability of such an event. We
25 included controlled studies that had adequate size to

1 demonstrate a difference in mortality. Although we treated
2 each study as if it had an equal opportunity to demonstrate
3 such a difference in mortality, this was probably not the
4 case since the studies varied in size. The p value of 0.03,
5 calculated by the binomial probability formula, suggests
6 that it would be quite unexpected to observe 2/6 studies
7 with a statistically significant tirilazad-associated excess
8 mortality. This p value doesn't have inferential value; it
9 is just calculated to get a sense of how unexpected it would
10 be to make this observation.

11 [Slide]

12 I would like to conclude by summarizing the safety
13 signals I have discussed this morning. There is the
14 unexpected finding of two studies in subarachnoid hemorrhage
15 indications with statistically significant
16 tirilazad-associated mortality excesses. In both of these
17 studies the mortality excesses appear to be related, at
18 least in part, to a neurologic event.

19 Next, there are the Phase II subarachnoid
20 hemorrhage studies, and 19 had a statistically significant
21 overall drug-associated mortality risk, and both 9 and 7 had
22 a statistically significant mortality excess in the high
23 dose women group compared to vehicle -- the high dose
24 treated group compared to vehicle.

25 How did these risks in these studies fit in with

1 the findings in studies 63 and 65? Those studies were done
2 at higher doses of 15 mg/kg/day. As I described earlier, the
3 Phase II patients did not include the sickest patients, the
4 highest neurograde. As a result, the Phase II trial
5 population was similar to the low neurograde populations in
6 the later studies because the sickest patients had been
7 excluded. As a result, the mortality excesses observed in
8 the Phase II trials seem consistent with the mortality
9 excess observed in the low neurograde group. These mortality
10 signals are unexplained and unexpected, and must be factored
11 into the discussion of the approvability of tirilazad.

12 Thank you. I would like to acknowledge Dr. Gerry
13 Boehm, Dr. Joel Frieman, Dr. James Knudsen and Dr. Michael
14 Sevka for their assistance in the safety review.

15 DR. GILMAN: Thank you, Dr. Racoosin. Could you
16 answer some questions from the committee? First, as you look
17 across all studies, are there complications that seem to
18 come out from each of these studies that seem in common? For
19 example, as I looked at your data it appeared that edema was
20 one factor that was emerging I think pretty much across all
21 studies because of the increase in intracranial volume that
22 you pointed out. Second is the question of hemorrhage or the
23 development of hemorrhage.

24 DR. RACOOSIN: Right, I do agree to a certain
25 extent. I have to give one caveat though, and that is we

1 were very limited in being able to interpret the events. As
2 I said, we were really lacking in information that we could
3 use to sort of flesh out sort of just the name of the
4 serious adverse events. So, I would agree, and it was the
5 observation of the increase in herniation in the head injury
6 studies that really led me to look at similar adverse events
7 in the subarachnoid hemorrhage studies and I did see, you
8 know, some trends. Overall there may not have been but,
9 then, in the low neurograde group it appeared to be a little
10 bit stronger.

11 DR. GILMAN: Can I just follow-up and ask how many
12 autopsy verification studies were there to go along with
13 these clinical observations?

14 DR. RACOOSIN: Let me say that in each case report
15 form there was an indication of whether an autopsy was
16 performed. However, it was rare that the autopsy report was
17 included along with the case report form, especially in
18 studies 63 and 65.

19 DR. GILMAN: So, you weren't sure whether an
20 autopsy was or was not done?

21 DR. RACOOSIN: No, I could tell if it had been
22 done but the results were generally not present.

23 DR. GILMAN: So, was autopsy often done?

24 DR. RACOOSIN: I would have to say that it
25 appeared to be about 20-30 percent of the patients but that

1 is my general impression. I would have to go back and look
2 to give you a specific answer on that. Maybe the sponsor
3 could elaborate on that.

4 DR. GILMAN: We could ask the sponsor. But then,
5 of that roughly 20 percent, how many were reported in the
6 data you saw? The results of how many autopsies were
7 reported?

8 DR. RACOOSIN: Well, I would have to say that I
9 could probably count on fingers the number of autopsy
10 reports that were added in the case report forms. Now, I
11 have to say I didn't read every single case report form but
12 I reviewed 50 percent of the case report forms of the
13 deaths. So, it generally was not present. We have had some
14 discussion with the sponsor about trying to obtain those
15 but, you know, with the limited time -- that may be
16 something we would like to get for follow-up.

17 DR. GROTTA: In patients with cerebral hemorrhage
18 and other very severe brain injuries 3-month and shorter
19 mortality sometimes can be misleading; 6-month mortality may
20 be more accurate. I would be interested in any data that you
21 have or the company has on 6-month mortality since we are
22 focusing so much on the mortality issue here. It may take a
23 while for patients to die.

24 DR. RACOOSIN: I am sorry, this is the
25 subarachnoid hemorrhage patients --

1 DR. GROTTA: Well, including the subarachnoid
2 hemorrhage data but also in your data because 6 months in
3 severe head injury patients as well as in hemorrhage
4 patients -- we have seen in our patient population a change
5 in relative proportions in different treatment groups from 3
6 months to 6 months, and you may rescue patients and then see
7 delayed death either in patients who you have intervened in
8 or not. So, what I am saying is that 6-month data would give
9 you a more accurate long-term result, and I would be
10 interested to know if you have seen any 6-month data or
11 whether the company has any to present to us.

12 DR. RACOOSIN: I did not focus on the long-term
13 mortality.

14 DR. BURKHART: If I could just comment about that
15 point about long-term mortality, we really have a couple of
16 problems when you think of how to relate an event to an
17 acute infusion period, for example. I mean, if you start
18 going too far out then you are going to start adding deaths
19 that can't possibly be related to the exposure. On the other
20 hand, you are quite right that some events may actually
21 begin during the infusion that are delayed. So, you have a
22 dilemma as to how to capture the events. So, we usually
23 focus on fairly close proximity to the infusion. In this
24 case, you do see a relative difference. So, I am not so sure
25 that I would be interested in seeing 6-month data if it

1 looked a lot different than early infusion data unless I was
2 sure that there was a delay.

3 DR. GROTTA: Yes, I realize the point of
4 contamination with delayed things, but if you are
5 interceding, let's say, with a cerebral hemorrhage and you
6 do surgery and you salvage the patient temporarily but then
7 they languish in a chronic care facility and then ultimately
8 still die of their event, over the short-term you may not
9 see a change in mortality but it catches up. And, we have
10 found that some of that catch-up occurs between 3 and 6
11 months. So, at least to the point that cerebral hemorrhage
12 or cerebral hematoma patients reflect also subarachnoid
13 hemorrhage or closed head injury patients, which I think
14 they may, you might find something by looking at 6-month
15 data that would be relevant.

16 DR. RACOOSIN: Let me just comment that those
17 bleeding events that you are referring to, if they occurred
18 during the infusion or in the first 20 days, I would have
19 examined them in my review of either serious adverse events
20 or adverse events. I realize that that is different than the
21 mortality issue but overall for rebleed and those sorts of
22 intracerebral bleeding events I didn't see differences
23 between the treatment groups within that 20-day period.

24 DR. GILMAN: Dr. Brooke has a question.

25 DR. BROOKE: Yes, just with regard to the 6-month

1 question. If there were late complications from some early
2 effect of tirilazad you would expect the percentage to
3 change between the placebo group and tirilazad group
4 mortalities, to change over the course of time. It would
5 widen. And, there was no evidence of that from your numbers.
6 The percentage difference in mortality between the vehicle
7 and the treatment group was the same for the three points
8 you looked at, which was perhaps a little reassuring.

9 DR. RACOOSIN: In the stroke study.

10 DR. BROOKE: That is right.

11 DR. GILMAN: Dr. Drachman?

12 DR. DRACHMAN: Were there any non-cerebral adverse
13 events, blood, lungs, heart?

14 DR. RACOOSIN: We did observe differences between
15 treatment groups for certain events. I am hesitant to
16 describe them at length here mainly because of the lack of
17 detail I have for those events.

18 Let me give you one example. In one of the large
19 subarachnoid hemorrhage studies in women there was an excess
20 of a COSTART term for acute respiratory distress syndrome.
21 In the other study there wasn't an excess of that; there was
22 an excess of something called respiratory disease. When I
23 looked at the respiratory disease verbatim terms, most of
24 them were respiratory failure. Now, how do I know that
25 respiratory failure and ARDS aren't the same thing? I don't.

1 And, there was also lung edema which could also have played
2 into it, and since I could not define the events I didn't
3 want to go into great detail about the differences between
4 studies because I really wasn't clear what I would be
5 talking about. So, I would like to refrain from discussing
6 any of that further.

7 DR. GILMAN: Other questions or comments? If not,
8 thank you very much. We will now move along. We are going to
9 hear next the Pharmacia and Upjohn presentations. The
10 introduction will be by Dr. Mark Corrigan who is Vice
11 President of Global Clinical Development, Pharmacia and
12 Upjohn.

13 **Pharmacia and Upjohn Presentation**

14 **Introduction: SAH Development Program**

15 **Past and Present Issues**

16 DR. CORRIGAN: Thank you Mr. Chairman, members of
17 the FDA and the audience.

18 [Slide]

19 I have the opportunity to discuss with you the
20 sponsor's brief presentation on Freedox, and in an effort to
21 make the most salient points and keep the presentations
22 brief we intend to follow the following order. I will
23 briefly go through the development program and some of the
24 rationale, much of which has been presented and so I will
25 move quickly through that, past and present issues. At that

1 point Dr. Marshall will come up and focus on the points
2 raised by the FDA. At that point we will respond to any
3 questions that the committee may have.

4 DR. GILMAN: Let me comment to the committee that
5 you have the slides in this book.

6 DR. CORRIGAN: To discuss the indication, I think
7 it is worth noting that we believe we have met the FDA and
8 congressional standard of substantial evidence for an effect
9 for tirilazad mesylate in patients with subarachnoid
10 hemorrhage to improve survival and functional outcome in
11 patients with poor neurological function following the
12 initial hemorrhage. Treatment should be initiated within 48
13 hours of initial hemorrhage, preferably prior to surgery and
14 perhaps patients may show some advantage for early
15 administration.

16 [Slide]

17 The entire Freedox program, as you have seen, has
18 been conducted in over 10,000 patients in a number of
19 diseases, including subarachnoid hemorrhage, ischemic
20 stroke, spinal cord and head injury. Additionally, there was
21 a large ongoing program for renal site protection.

22 The subarachnoid hemorrhage, identified in yellow,
23 is the largest ever conducted in this disease entity, with
24 over 3,000 patients who received Freedox.

25 [Slide]

1 I will review the program briefly for the
2 committee who have already seen it. The first study that you
3 have heard about was study 32 in which vasospasm for all
4 neurogrades was the primary endpoint in keeping with the
5 scientific understanding of the disease at that time.

6 As has been pointed out, although no effect was
7 found in the primary endpoint, a significant effect on
8 mortality was found in the study, essentially driven by the
9 males.

10 [Slide]

11 As a result of this finding but prior to analysis,
12 and in consultation with the FDA, the endpoint was altered
13 for study 29 to mortality. It might be noted that there was
14 no change was made in the entrance criteria in terms of the
15 modified Glasgow from worst motor score, which may have been
16 a better predictor in terms of vasospastic consequences, to
17 best motor score which may correlate better with mortality
18 outcome. While this study did not confirm the mortality
19 events found in study 32 for all patients, a post hoc
20 analysis of the most severe neurogrades indicated an effect,
21 as you have heard, for those patients. Because of the
22 paucity of treatment options for this population, the
23 advisory committee meeting was held, the results of which
24 you have heard.

25 [Slide]

1 Since pharmacokinetic differences may have driven
2 the lack of effect in women, in consultation with the FDA,
3 two further studies at higher doses in women were undertaken
4 with mortality as the primary endpoint.

5 [Slide]

6 Study 65, the first study conducted in Europe and
7 Australia, did not demonstrate an effect on the primary
8 outcome, mortality, for all neurogrades. However, a
9 predetermined subset of the most severely ill patients for
10 the primary endpoint demonstrated a signal. Given the two
11 previous studies, and prior to the completion of the 63
12 study, the primary endpoint for the second ongoing study in
13 women was changed.

14 [Slide]

15 As you have heard, the final results for this
16 study showed statistically significance for the primary
17 endpoint of the effect of drug for the most severely
18 affected women in the subgroup.

19 The process of clinical science for the
20 development of novel therapeutics in disease entities,
21 particularly first in class drugs are being shown,
22 inevitably leads to a further understanding both of the
23 disease process and of the agents being studied. To conduct
24 investigations with full scientific disclosure and not avail
25 oneself of the evolving understanding of the condition and

1 the agents under examination is to miss potentially
2 effective treatments at best, and irresponsible science at
3 worst.

4 [Slide]

5 The preponderance of the evidence for Freedox for
6 subarachnoid hemorrhage is represented in the above summary
7 histogram for the four adequate and well-controlled studies
8 and the integrated summary is presented here. In all cases
9 Freedox reduced mortality. Although the different doses that
10 we are recommending in the product label are represented,
11 therefore, males in 32 and 29; females in 65 and 63.

12 DR. GILMAN: Can I ask you a question about that?

13 DR. CORRIGAN: Sure.

14 DR. GILMAN: In your integrated bar graph are you
15 comparing studies that had different genders, different
16 doses? How can you show an integrated bar graph across all
17 these studies?

18 DR. CORRIGAN: Since the entrance criteria and
19 description of the disease state was the same for those
20 studies in terms of the disease process, you are absolutely
21 right. Genders are different. The different doses that we
22 had are explained by the fact that we felt that the effect
23 of 50 mg/kg was the correct dose in females.

24 DR. GILMAN: So, you are comparing apples and
25 oranges here with respect to treatment administered in the

1 groups.

2 DR. CORRIGAN: Well --

3 DR. KAWAS: Could I add to that question? How did
4 you integrate it? Did you just put all the subjects
5 together, or did you act like each contributed equal -- how
6 was the integration done? Maybe that is really what we want
7 to hear.

8 DR. CORRIGAN: Well, this isn't a formal
9 meta-analysis. This is an effort to look at -- yes, we
10 basically just combined the patients.

11 DR. KAWAS: So, that is just an additive
12 integration by pooling all the subjects in all the studies.

13 DR. GILMAN: Members of your team are saying no,
14 that is not right.

15 DR. RUPPEL: Yes, excuse me, Mark. Betty Ruppel,
16 biostatistics and data management for the company. We did
17 not just pool all the patients to create a nuance ratio and
18 estimates of the mortality rates. Instead, we individually
19 analyzed each study with Cochran, Mantel-Henzel statistics
20 and then combined those. So, it is a true meta-analysis
21 rather than just a combination.

22 DR. KAWAS: Each study contributing equally?

23 DR. RUPPEL: Exactly.

24 DR. TEMPLE: Equally or were they weighted?

25 DR. RUPPEL: Well, they were weighted by the size