

1 Donnelly's talk, which I entirely agree with her
2 conclusions there.

3 We were asked to assess the magnitude of
4 the risk that could result from the infective agent
5 being present in blood. That's a pretty tall order,
6 really, when we know very little about quite a lot of
7 the factors that could affect that risk, particularly
8 how many people may be incubating the disease.

9 Nevertheless, being good consultants, we
10 said: Yes, we'll have a go at this and see what
11 useful information can come out from that because
12 we're not just looking at what the actual numbers
13 might be but what actually are the lessons we can
14 learn, what can we actually learn about the processes,
15 particularly what can we learn about which components
16 of blood and blood components are particularly risk
17 factors. Are there particular groups of patients
18 which may be more or less at risk? And can we say
19 anything about the possible effectiveness of the
20 different risk control measures which could be put in
21 place?

22 Just to look at the time line of the study
23 that we did, the study was initiated following
24 recommendations from the SEAC Committee back at the
25 end of 1997. There was an expert group meeting of a

1 fairly wide range of people in the United Kingdom
2 fairly shortly thereafter.

3 Our study actually started early in 1998.
4 We did a first draft report in April which then went
5 to review by an expert, group of experts, in the
6 external world, including both members of the United
7 Kingdom SEAC Committee, some of the people around the
8 table here today as well.

9 Then the final report was produced towards
10 the end of 1998 after a fairly long gap, really,
11 waiting for comments on the revised report. And the
12 final report was then produced early this year.

13 It is useful to sort of look at that
14 together with the times at which particular decisions
15 were taken in the United Kingdom. In February '98 was
16 when the Committee of Safety in Medicines made initial
17 advice about imported plasma and then the decision,
18 final decision, to implement leukodepletion of fresh
19 blood supply was taken in July 1998, so very much in
20 the process of the time we were working.

21 SEAC back here in 1997 had advised that
22 the government should consider the use of
23 leukodepletion. And there was a lot of work that was
24 done immediately thereafter.

25 I think it is also worth just thinking a

1 little bit about some of the reasons for those
2 decisions. Now, I wasn't part of that process, and
3 there may well be others who were more closely
4 involved. But if one actually looks at the press
5 release which the Department of Health issued after
6 that, this is Frank Dobson speaking in the press
7 release, saying that he fully accepts the advice of
8 the Committee of Safety in Medicines. He has decided
9 that the bioproducts laboratory, which is our blood
10 fractionation, plasma fractionation service, will be
11 allowed to import plasma.

12 And then he says this will reduce the
13 possibility of repeated recalls of blood products in
14 the future and thereby help to maintain public
15 confidence in these products.

16 So his initial reason was nothing about
17 blood safety. It was about public recall of blood
18 products. And that is reflected very much in the
19 statement from the Committee of Safety in Medicines,
20 from their minutes, where the first recommendation is
21 that a plasma pool subsequently is identified as being
22 strongly suspected of having new variant CJD should be
23 withdrawn -- I'm paraphrasing slightly -- and then to
24 avoid future withdrawals of large batches of medicine
25 or products, including vaccines, manufacturers should

1 avoid the use of U.K. albumin as an excipient to
2 medicinal products, so again concentrating as much, at
3 least, on the risk of recall and the management issues
4 ~~that~~ that arises as well as the health safety
5 implications of variant CJD infectivity in blood.

6 Just very briefly -- I'm not going to go
7 down these. These were a range of people whom we
8 consulted during the process of the study, including
9 people to do with the blood supply and blood
10 fractionation service for the United Kingdom, people
11 with the Haemophiliac Society in the United Kingdom,
12 uses from haemophiliac centers, so a range of
13 different people, both experts in variant CJD and
14 people involved in the blood business in the United
15 Kingdom.

16 And then the review panel involved a range
17 of people, both from the United Kingdom SEAC Committee
18 and others, who reviewed our report in detail, came
19 back with comments, which were then taken into account
20 in our final version. So the study has been fairly
21 extensively reviewed and commented.

22 When we started tackling this, the basic
23 presumption that we had was that variant CJD
24 infections are caused in some way through exposure to
25 the BSE infectivity through the food chain and that

1 will result in a number of cases.

2 What we needed to do was to then look at
3 what that meant in terms of potential further variant
4 ~~CJD~~ CJD infections through the blood donation route,
5 either through blood components or through plasma
6 pools and plasma derivatives. How many patients were
7 going to be exposed? And what is the potential for an
8 effective unit coming in here, resulting in a new
9 infection of variant CJD?

10 This is rather similar in a more
11 diagrammatic form of the process which Christl put up,
12 of the way in which you could actually try and model
13 the estimate of infections there from the food supply.

14 In fact, when we started off, we presumed
15 that in order to get certainly any absolute measure of
16 the risk from the blood supply, we had to try and come
17 up with some estimate of the size or the number of
18 people who would actually be incubating variant CJD.

19 That was probably the big difference
20 between the early draft of our report and the
21 subsequent draft, when we looked at that issue in more
22 detail and we realized that to try and come up with
23 anything like a best estimate, even with significant
24 ranges, was really not possible, that particularly we
25 know little about the cattle-human species barrier.

1 We know quite a lot about these things pu here, as
2 Christl said. We know the numbers of infected. We
3 know the life expectancy of cattle.

4 So we know the numbers of advanced
5 infections for the region, but, then, what does that
6 mean in terms of the actual consumption of products
7 and the number of cases which might develop?

8 So the two big unknowns in there are
9 probably the species barrier between cattle and people
10 and the incubation period for variant CJD when you're
11 crossing a species barrier, in particular.

12 This slide I won't dwell on. It's, in
13 fact, drawn from the Oxford group's data, again seeing
14 that the peak of infectivity coming in is in 1989.
15 And the bars on here are different ages before
16 infection. Again, I think we're seeing that data
17 already.

18 When we realized we couldn't come up with
19 any prediction of the number of cases, we decided that
20 the way we would present the risk would be risk of new
21 infection per infected donor. What we tried to do in
22 this slide is just to look at to get some indication
23 of what the potential range might be, which, as we
24 know already, is very large.

25 What we are seeing here is the fraction of

1 blood donations infected with variant CJD against time
2 and plotted against the mean of the incubation period.

3 So we've got increasing incubation period
4 up here. And if you see, at low incubation periods,
5 we really have a very small fraction of donations
6 infected: less than one in a million.

7 As we go out to larger incubation periods,
8 say, if you look at 30, then we're getting up to a
9 maximum of about one in 1,000. They can increase, and
10 obviously they can increase beyond this, too, if one
11 looks at other longer incubation periods. And that's
12 just against one of the potential variable parameters
13 that we have got.

14 I am just going to go very quickly over
15 the evidence for infectivity in blood. I think
16 probably that will have already been looked at
17 significantly by this Committee, but it was very much
18 part of the background for what we were doing in the
19 study that we did.

20 If we look at blood transfusions, we know
21 that all attempts to transmit infectivity of blood,
22 blood transfusion, so across a species barrier, have
23 failed and that within animal models, as far as I am
24 aware, the one case which has been reported by Bob
25 Rohwer is still the only case that I have heard of in

1 which there has been a positive transmission by the
2 i/v route within an animal model.

3 Epidemiology studies have shown that's
4 from sporadic CJD. There is no evidence that there
5 has been any transmission through the blood route.
6 And when we look at blood from human CJD cases,
7 primarily sporadic CJD cases and certainly no variant
8 CJD cases, and look at that, their infectivity through
9 the i/c route into animal models, there have been a
10 few experiments which have shown positive infectivity
11 into rodents but negative results from a significant
12 number of studies into primates and other species.

13 And there have been some questions asked
14 about -- these cases, these experiments all involve
15 very small numbers of animals and some sort of
16 significant questions asked about those and, in
17 particular, the fact that it is a bit odd that we have
18 got no positive infections in the primates, which you
19 might have expected would be more susceptible than the
20 rodents.

21 Then when we look at actually within
22 animal models themselves, there have been quite a
23 number of cases, experiments where positive infections
24 have been reported from animals infected with some
25 form of TSE and have been through the i/c route

1 infected in the same species, so again with no species
2 barrier.

3 So all that we can conclude from that is
4 that the blood from an animal which has been
5 artificially infected with the TSE could contain
6 infectivity. And to some extent, that model may be
7 the one that is most applicable to the situation of
8 people being exposed to a TSE through food exposure.

9 Again, very briefly, a number of
10 experiments that have been carried out trying to
11 assess what the level of infectivity in whole blood
12 is, ranging here from the low end of about five from
13 some of Diringer's work to over 300 from Casaccia --
14 again, these are all i/c infective units per
15 milliliter of blood -- and a value of about 10 from
16 the work from Paul Brown and Bob Rohwer.

17 In deciding what we wanted to use as a
18 base case for the work that we were doing, we decided
19 that it was better to err at the low end. After all,
20 these are all animal models which have been developed
21 to enhance infectivity, enhance the likelihood of
22 infectivity. So when we are looking at the human
23 situation, we would be more likely to be at the low
24 end.

25 We also have to take into account, as we

1 have already mentioned, that the i/v route, the
2 peripheral route, is going to be less effective than
3 the i/c route. We took a factor of ten for that,
4 again one of the areas where you have got significant
5 uncertainty.

6 So we took a value of ten i/c infective
7 units per ml as a base case but with a range of
8 values. And we looked at the uncertainty in that and
9 with a factor of ten of the i/v route being less
10 effective than i/c.

11 We then needed to know what was the level
12 of infectivity in different blood components and in
13 different plasma fractions. The only experiment which
14 has been done which casts any light on that are the
15 experiments which have been done by Paul Brown and Bob
16 Rohwer. Again, I imagine you have already seen a lot
17 of this data.

18 Two experiments: the spiking experiment,
19 where you have got a high input of spiked hamster
20 adapted scrapie, into human blood, which was then
21 separated and fractionated and all the products of
22 that titrated. I just want to note there, as I know
23 the authors have done, that only a fraction of the
24 infectivity was actually recovered in the final
25 process and that the endogenous experiment, where

1 blood was collected from mice infected with a mouse
2 adapted TSE, again separated and fractionated as
3 before, and then inoculated back into experimental
4 animals.

5 In the endogenous experiment, there was no
6 transmission for some of the fractions, including
7 whole blood and red cells, but the number of animals
8 inoculated was fairly small. In fact, the expected
9 number of infections for whole blood, for example,
10 would have been less than one.

11 So what we did was to take the estimate of
12 infectivity in whole blood. I'm now going to talk
13 about intravenous infective units per milliliter. So
14 we've got one i/v, i/v 50 per milliliter blood, so
15 about 450 per conventional units of blood.

16 We have taken the relative infectivity in
17 plasma and Buffy coat from the Brown and Rohwer
18 experiment, from the endogenous experiment. And we
19 have assumed that no infectivity is lost, so a
20 significant assumption there.

21 If we do that, we can then get a breakdown
22 of infectivity in the 3 components with about 50
23 percent of that infectivity being in the plasma,
24 initially a surprising result possibly with the
25 remaining infectivity being about equally divided

1 between red cells and Buffy coat.

2 Then looking at plasma derivatives, again
3 taking that result for plasma, taking the result from
4 ~~the~~ endogenous experiment, where we could use it for
5 Fractions 1, 2, and 3 together, and cryoprecipitate,
6 and then using the relative infectivity from the
7 spiking experiment for Fractions 4 and 5, we can then
8 get infectivity in the main plasma fractions.

9 We then wanted to go one step further and
10 look at the infectivity in plasma derivatives, the
11 actual products which were being given to patients.

12 I have been talking to a number of
13 experts. We felt that there were two alternative ways
14 of calculating that. One was to assume that the
15 infectivity would partition in proportion to the
16 protein content of the product. And the other was to
17 use some kind of estimate of clearance factors from
18 the various processing stages in a blood processing
19 situation.

20 This slide shows the results of doing
21 that, with the blue bars showing the protein mass
22 content basis and the purple ones showing the estimate
23 based on clearance factors. So this is infectivity
24 assuming that plasma derivative was made 100 percent
25 from infected units. So to get the actual level of

1 infectivity, you then have to multiply that by the
2 proportion of units which were actually infected.

3 The red line here is unity. So if you're
4 to the right-hand side of that, if you had 100 percent
5 infected blood, then you would have one infected unit
6 per average dose of each of these products. And if
7 you're to the left of it, even with 100 percent of
8 infected blood, you've got less than one infected unit
9 per dose of product.

10 You can also see that there was wide
11 variation between the two approaches, sometimes about
12 six or seven orders of magnitude here for intravenous
13 IgG, for example, with the protein mass content level
14 giving a reasonably high estimate because you have got
15 high dose about 90 grams, typical dosage for this
16 product for certain patient groups but with a
17 clearance factor basis having a relatively low
18 estimate. So you have got significant variations
19 here.

20 In the base case results we shall present
21 in a moment, we used the protein mass content basis
22 mainly because they were the more conservative. They
23 gave the higher values. And we used the clearance
24 factor approach as a comparison.

25 You can see that these two products, in

1 particular, for one type of factor, 8, this is the
2 less pure version of Factor 8. Eight is not much
3 different between the two.

4 You have got a potential infectivity
5 greater than one. So if you've got high levels of a
6 high proportion of donations infected, you could
7 theoretically get infectivity through this route. And
8 intravenous IgG is the other significant potential.

9 Here, particularly with this one, this
10 difference is very significant because when we
11 calculated the infectivity for the protein mass
12 content, we took no effect of any subsequent clearance
13 through the processing.

14 So we were just basing it on the initial
15 infectivity and the protein mass content. And we
16 assumed that subsequent processing steps would have no
17 effect on the infectivity and the product, which is
18 not very likely, I would guess.

19 What we then needed to do was to look at
20 the way both the blood components and the products are
21 used to actually get an estimate of the risk to the
22 patients being exposed. The way we did that was to
23 define a set of representative patient groups.

24 There were just not the data available
25 that could have enabled us to look at the way the

1 products were actually used overall in the health
2 service in the United Kingdom.

3 So, together with medical experts, we
4 defined a set of about 20 different patient groups.
5 We looked at the likely numbers of the patients in
6 each group and the typical dosage to the range of
7 different both blood components and plasma derivatives
8 that they may be exposed to over a treatment period.
9 So these are just some of the patient groups that we
10 identified, and there is more data, obviously, in the
11 report, which you have.

12 So we defined the treatment and the dose
13 for each of these patient groups, both to blood
14 components and to plasma products. And then by
15 assuming a linear dose response model, we can then
16 estimate the number of new variant CJD infections that
17 could result from that.

18 And, then, the number of variant CJD cases
19 obviously depends on both the incubation period. And,
20 again, here you're not crossing a species barrier from
21 cattle to people. You're within species. So the
22 incubation period is likely to be less than from
23 cattle to man.

24 You need to look at the remaining life
25 expectancy of these patients and obviously their

1 probability of surviving the actual episode for which
2 they are being treated.

3 I'm not going to concentrate on this
4 because I don't think this is the important thing for
5 this. This result shows the numbers of new infections
6 per infected donation for some of the patient groups.
7 So along the bottom here, we have the fraction of
8 donations infected going from unity, on the right-hand
9 side, to one in a million on the left-hand side.

10 We can see that for many of the patient
11 groups, we're down here at less than ten percent of
12 patients infected for a very wide range of fraction of
13 donations infected.

14 For some groups, we are at significantly
15 higher level than particularly the patients being
16 given intravenous immunoglobulins, bone marrow failure
17 given red cells and platelets, and acute blood loss
18 being given significant numbers of red cells.

19 We see this fall off with the fraction of
20 donations infected because with this group, we have a
21 fairly small number of patients. And effectively we
22 have infected all of them by the time we get up to
23 this level. I think all we are saying in this is that
24 there is a range of exposure for different patient
25 groups but highly dependent on the assumptions that we

1 have made.

2 Overall we estimate that the number of new
3 infections for the base case results are about 2.6 new
4 infections, about equally split between the patients
5 for blood components and the patients for plasma
6 derivatives.

7 That translates into case of about 0.8.
8 So we've got about 2.6 infections and about 0.8 cases
9 because obviously not all of the patients infected
10 survive long enough to become a case.

11 Obviously all of those results are highly
12 dependent on the assumptions that we have made. And
13 you can get some interesting insights into that by
14 actually looking at the sensitivity to some of those
15 assumptions.

16 So here is our base case for looking at
17 new infections, about 0.8 new infections split between
18 blood transfusion cases, plasma derivatives in red,
19 and the green is increased because of patients,
20 recipients continuing to donate.

21 If we reduce the infectivity by a factor
22 of ten, we see that we make very little difference to
23 the risk from blood transfusion, but we make quite a
24 significant different to the risk from plasma
25 derivatives.

1 If we reduce it by another factor of ten,
2 we virtually eliminate the risk from plasma
3 derivatives. But, again, the risk from blood
4 transfusion cases stays about the same.

5 The reason for that is that in a blood
6 transfusion case, you're transfusing typically a unit
7 or more of blood. That unit contains, of the
8 assumptions that we have more, more than 100 infective
9 units of blood. So, even if you reduce it by a factor
10 of 100, you've still got a significant risk of
11 infection; whereas, the plasma derivative results are
12 spread over a very wide number of people with a
13 relatively lower level of exposure.

14 Conversely, if you increase the
15 infectivity by a factor of ten, you then increase the
16 risk from plasma derivatives very significantly, but,
17 again, you don't do very much to the risk from blood
18 transfusion.

19 If you look at the incubation period, the
20 base case incubation period for blood supply we
21 assumed was 15 years, so a 15-year incubation period
22 for infection through blood supply. If you reduce
23 that to five, you make a modest increase in the number
24 of cases basically because more patients survive
25 because you've still got the same number of infections

1 but more with a shorter incubation period, a higher
2 proportion of them survive. And, conversely, with a
3 longer incubation period, few of them survive.

4 So the basic conclusion, the first
5 conclusion, which I think is perhaps important, is
6 that it really is not possible to come up with any
7 reliable estimate of what the real risk of variant CJD
8 infectivity in blood is.

9 We don't know how many people may be
10 infected, and fundamentally we don't know whether
11 blood from someone with variant CJD could be
12 infective. And we have no evidence to confirm that
13 blood from a person with CJD would be infected.
14 However, evidence with the animal model suggests that
15 there is a potential risk, although we have not
16 demonstrated that that is true yet.

17 Then looking at the results for the actual
18 study, if there is infectivity in blood at the sort of
19 levels that we have assumed based on the Brown and
20 Rohwer work, then the infectivity that is present in
21 a full unit of red cells would be sufficient to cause
22 infection. That conclusion seems to be valid over
23 really quite a wide range of different assumptions.

24 Plasma derivatives, the result is slightly
25 different. If we look at the base case and our very

1 conservative assumption that assuming infectivity is
2 based on protein content and taking no account of
3 clearance factors, then there are a few plasma
4 derivatives which could theoretically cause infection.
5 But that conclusion is highly uncertain and varies
6 very significantly over the assumptions that are made,
7 and many of the assumptions tend to reduce the risk,
8 rather than increase it.

9 So the overall message from that is that
10 looking at risk from blood, it looks as if there's a
11 high risk from the red cell units from the whole blood
12 transfusions than there is from the plasma
13 derivatives. That conclusion seemed to be fairly
14 generally supported by the blood industry people in
15 the United Kingdom.

16 In the U.K., we have looked at a number of
17 risk reduction measures, including the initial
18 recommendation from SEAC to look at leukodepletion of
19 red cells on the basis that infectivity is perhaps
20 more likely to be associated with white cells, --
21 that's perhaps a bit uncertain -- eliminate U.K.
22 source plasma, and then a range of other possible
23 measures, including reducing the use of blood
24 obviously would help. Preventing transfusion
25 recipients from giving blood, breaking the recycle

1 loop could be important and possible prophylactic
2 treatment, although there's really no real data on
3 that at the moment.

4 Just looking at the results of those,
5 again, emphasizing very much looking from our base
6 case, if we look at leukodepletion on that and
7 assuming that the effectiveness of leukodepletion
8 would be to reduce the infectivity by a factor of 100,
9 then we actually see a modest reduction but, actually,
10 a rather small reduction. That may be if
11 leukodepletion is more effective than that or if the
12 level of infectivity in the red cell unit in the first
13 place was significantly less, then the effectiveness
14 of leukodepletion would be significantly greater.

15 So if we looked at the range of
16 possibilities, leukodepletion could be effective over
17 quite a wide range of different possibilities, but
18 it's not necessarily that effective.

19 Eliminating U.K. source plasma is
20 obviously a pretty good measure assuming that the
21 source of variant CJD is restricted to the United
22 Kingdom and not from possible source countries,
23 including the U.S. or primarily the U.S., obviously.

24 So that is very effective in reducing the
25 risk from plasma products, but, as I said, the

1 likelihood is that this risk, the risk from plasma
2 products, is overstated in the study. And it does
3 very little, nothing, in fact, to the risk from blood
4 components.

5 Reducing the use of blood obviously has an
6 effect in proportion to the amount that you could
7 reduce the usage of blood. There have been some
8 interesting studies in the U.K. where you look at
9 variations between different hospitals in their use of
10 blood for the same operation, and there is huge
11 variation, so obviously a scope there but a sensitive
12 area, I suspect.

13 Restricting blood recipients from being
14 donators obviously breaks the recycle loop but, again,
15 has some potential implications on the blood supply.

16 So leukodepletion could have a significant
17 benefit, but the potential effects are uncertain.
18 Eliminating plasma, eliminating U.K. plasma, will
19 eliminate any risk that there is, but the original
20 level of risk might have been extremely small.

21 And a range of other measures has some
22 possibilities. I think this one received quite a lot
23 of attention in the U.K. recently looking at
24 prophylactic treatment with Pentosan. There seems to
25 be evidence that this could reduce susceptibility in

1 animal models, but there is an awful lot of work to be
2 done I think before we could say with any confidence
3 that that could work for variant CJD.

4 Thank you.

5 (Applause.)

6 CHAIRMAN BROWN: Thank you very much, Dr.
7 Comer.

8 We have time for a couple of questions.
9 I have a question. I know that a handful of patients
10 who have died with new variant CJD have been
11 identified actually as having donated blood at some
12 point during their incubation period. I know that
13 that ranges from a donation made as early as 1982 to
14 donations that were made just within the past couple
15 of years.

16 I think -- and this is where I need to be
17 made accurate. I think some, if not all, of those
18 donations were one-to-one blood transfusions or packed
19 cells, but I'm not sure. Can you tell me, for
20 example, if that is true or whether these donations
21 found their way into plasma pools?

22 MR. COMER: I know for sure they found
23 their way into plasma pools. I do not know the answer
24 to whether they were whole blood donations or not. I
25 think the answer to that is yes, but the policy that

1 they have taken in the U.K. is not to inform
2 recipients, which is a difficult ethical debate,
3 obviously. So I think there has been little publicity
4 about that.

5 CHAIRMAN BROWN: Right. I know it is
6 wrapped in considerations of confidentiality and
7 patient privacy, but that will obviously be a crucial
8 group to watch and may give you or us the first clue
9 about the reality of whether blood is infectious from
10 patients with new variant CJD.

11 Of the handful, I think one only or two of
12 the recipients have been alive for more than five
13 years, something like that. I think most of them are
14 just a year or two.

15 MR. COMER: I think that is right.

16 CHAIRMAN BROWN: Yes. Questions? Bob?

17 DR. SCHONBERGER: Could you repeat the
18 answer to the question that you just said? I wasn't
19 sure. It's mostly plasma pools or mostly one to one?

20 MR. COMER: No. I know for sure that it's
21 plasma pools. I do not know --

22 DR. SCHONBERGER: It's plasma pools?

23 MR. COMER: Yes. That is for sure because
24 there were some recalls. I do not know how many were
25 one-to-one blood recipients.

1 CHAIRMAN BROWN: Bob?

2 DR. ROHWER: Yes. I wanted to just
3 comment that if I understand you correctly, you are
4 doing your modeling based on the titers that were
5 associated with the crude Cohn fractions in the paper
6 that Paul and I published.

7 MR. COMER: Yes.

8 DR. ROHWER: In that regard, virtually
9 none of those materials are used as is. They go
10 through considerable additional refinement before they
11 ever get into people.

12 We have in the interim completed several
13 spiking-based validation studies, which have some
14 caveats attached to them, of course. Nevertheless,
15 the results have been uniformly very encouraging
16 because we're seeing that in the process of carrying
17 these fractions through scaled-down versions of the
18 manufacturing process, we're seeing the elimination of
19 very high levels of infectivity, suggesting that, at
20 least at the level of plasma fractions, we have
21 another very important additional level of safety that
22 we're getting from the manufacturing process itself.

23 The other thing I wanted to ask you about
24 was your modeling of the contribution from eliminating
25 donations from persons who had received blood and

1 blood components previously.

2 I gather you are just looking at the next
3 donation, you are not looking at the issue of
4 propagation of the infection over time by that
5 practice. Is that correct? Because you are showing
6 very little effect here, and in terms of a safety
7 measure, I have always ranked it as one of the most
8 important things we could do.

9 MR. COMER: That is true. We didn't
10 attempt to model that really fully. And it was just
11 a very crude estimate over the first year. So yes, it
12 is not a full representation of the effect of that.

13 Just going back to your first point as
14 well, if we take the results from our estimates based
15 on clearance factors, which I think there will be some
16 differences in detail from the results that you have
17 got now with your spiking experiments, if we base the
18 risk from plasma derivatives on the clearance factor
19 approach, then the risk from plasma derivatives is
20 virtually zero. I mean, there really are very, very
21 low levels of risk associated with that. So yes, you
22 get significant, very significant, risk reduction.

23 CHAIRMAN BROWN: A couple of points just
24 to bring your experimental data up to speed.
25 Unpublished further experiments on the mouse model

1 have produced good news and bad news.

2 The bad news is that we have a
3 disappointingly large number of transmissions
4 following intravenous inoculation of either plasma or
5 Buffy coat. We also have a transmission using whole
6 blood as a transfusion into these mice. So that's not
7 good news.

8 The other thing that is not too good is
9 that we have now got in this particular model a ratio
10 of five to one, as opposed to ten to one, which was
11 also disappointing.

12 The only piece of good news in that in
13 terms of experimental data is that we found that,
14 again, in this model, the level of infectivity during
15 the entire incubation period is almost negligible
16 compared to the level of infectivity during the
17 clinical phase of illness. And that is very good news
18 indeed. So these are data that are not yet published
19 but --

20 MR. COMER: Can I just clarify that?

21 CHAIRMAN BROWN: Sure.

22 MR. COMER: It's five to one between i/v
23 and --

24 CHAIRMAN BROWN: Yes, i/v and i/c. I
25 mean, we were hoping for at least ten, but that's not

1 the way it happened. Again, there probably is
2 variability from experiment to experiment. And the
3 next time we do it, it might be 10 or 20 or 3. I
4 don't know, but that's the initial number.

5 Other questions? Yes?

6 MR. COMER: Well, just commenting on your
7 last point there about the infectivity through the
8 incubation period, our assumption was that levels of
9 infectivity are basically uniform throughout the
10 incubation period, which is obviously the most
11 conservative assumption you could make.

12 CHAIRMAN BROWN: Right, right. And, as I
13 say, if it turns out to be the case with the human
14 disease, -- and I'm guessing it probably will be --
15 with you, I think the likelihood of disease, natural
16 disease, whether it be scrapie in sheep, BSE in
17 cattle, or CJD in humans, is going to be quite a lot
18 less virulent than the experimentally induced disease.

19 Even under the experimental conditions I
20 mentioned, however, infectivity in all components of
21 the blood during the incubation period is so low that
22 it virtually poses I think no risk, at least in terms
23 of plasma derivatives.

24 Other questions? Yes?

25 DR. HOLLINGER: Is it your assumption in

1 humans and, say, Dr. Donnelly's in cattle, that all
2 infections lead to cases if followed long enough?
3 That is, is there a chronic carrier assumed to be the
4 case; particularly in cattle, that is? Do we know
5 that at all?

6 MR. COMER: We assume that any animal
7 infected will result in a case if it survives long
8 enough. That is certainly the assumption I think both
9 of us have made.

10 DR. HOLLINGER: Is there any data
11 following for prolonged periods of time infected
12 animals?

13 CHAIRMAN BROWN: There is if -- go ahead.
14 I'm sorry.

15 DR. DONNELLY: Yes. I mean, I made the
16 assumption, like Philip's group, that all animals that
17 were infected would if followed for long enough lead
18 to disease.

19 . The possibility of carriers, we looked
20 into the possibility of different susceptibility
21 classes. Certainly I don't know of any study that has
22 followed them long enough to be able to -- you tend to
23 have them followed for up to seven years. I don't
24 know of any studies that you do where they're followed
25 for longer to look for these.

1 CHAIRMAN BROWN: The only study that I'm
2 aware of that documents a carrier state is work in
3 rodents in which mice were treated with Substance X.
4 A few mice that were treated with -- it's the
5 Pentosan-type drug I believe were shown -- maybe they
6 weren't even shown to have infection. They died a
7 natural life without developing clinical disease.

8 Bob, can you correct me or verify this?
9 I'm not aware now that I think of it again of any
10 study in which infection; for example, documentation
11 by Western Blot or immunostaining of the resistant
12 form of prp, where an animal has carried that all of
13 his life and died from an abscess three years later,
14 which would be the carrier state.

15 DR. ROHWER: Well, there is a recent
16 report from Rocky Mountain Lab showing a situation
17 just like that, where the animal survived its life
18 span without showing disease, but it could be
19 transmitted, then, subsequently.

20 There are also some very old papers from
21 Alan Dickinson and his colleagues showing the same
22 thing using certain strains of mice and also depending
23 upon the route by which the animal is infected.

24 I would just like to caution in terms of
25 thinking about preclinical infection, I think from my

1 perspective, anyway, route and dose could have a very
2 big effect on exactly what we see in these models.

3 So to date, we have only really looked at
4 the i/c model. I think it behooves us to look at more
5 natural routes of infection before we draw any
6 conclusions about the preclinical state.

7 DR. EWENSTEIN: I just wanted to make a
8 comment about the use of the plasma derivatives. You
9 have assumed 2,000 units as a single inoculum, I
10 think. I just wanted to make the point that for most
11 patients, there are periods of time when they might
12 receive at least ten times that sort of dose in a
13 matter of days.

14 Now, I don't know what the cumulative
15 effect is over the space of a couple of days. Over
16 the course of a year, a typical number might be 80,000
17 units. Again, we don't know the cumulative dose
18 because we don't know the body's ability to clear
19 whatever the infectious agents are.

20 At least in clinical practice, there would
21 probably be many instances where there would be at
22 least 10 times that exposure in a matter of 48 or 72
23 hours.

24 MR. COMER: Yes, obviously what we've done
25 here in looking at the typical -- you know, defining

1 the patient groups and the exposure is just to give
2 some estimates against which we can base some
3 calculations. And there are a whole range of
4 different variabilities that we could look at.

5 When we actually looked at the effect of
6 changing some of those assumptions, their effect on
7 the results were mainly fairly marginal. So you
8 wouldn't get a big difference by making that sort of
9 a change.

10 CHAIRMAN BROWN: We have time for two more
11 questions.

12 Yes, Dr. Leitman.

13 DR. LEITMAN: This is for Dr. Donnelly.
14 One of the most compelling pieces of data that there's
15 blood transmission of the agent is through the
16 maternal to fetal transmission in cattle, and you
17 quoted a risk of 10 percent over the last six months
18 of gestation.

19 That's all from clinically observed
20 information? There's no experimental data on that?
21 That's question number one.

22 And question number two: Couldn't that
23 not also be due to an increased genetic susceptibility
24 to infection in the same -- passed on from the mother
25 to the calf?

1 DR. DONNELLY: Well, we looked at two main
2 sources of data in looking at maternal transmission.
3 There was the maternal cohort study which was
4 organized by Ministry of Agriculture staff. And
5 unfortunately, rather than recruiting calves just as
6 they were born, they were actually recruited after
7 they had been in farms for a period of time.

8 There was a maternally exposed animal and
9 a control animal. About 300 of them were recruited.
10 But unfortunately, those animals both in the
11 maternally exposed and control would have been
12 potentially exposed to infectious feed while they were
13 on the farm.

14 Now, from that experiment alone, it is
15 quite difficult to distinguish whether or not it's
16 maternal transmission or whether or not it's genetic
17 predisposition. And that's because all the experiment
18 -- or all of the maternally exposed animals were
19 recruited as the last calf, so you didn't have a long
20 period of time, a spectrum over the maternal
21 incubation period.

22 But, looking at the main database, which
23 has been collected on all BSE confirmed cases in Great
24 Britain, we were able to look at those for whom the
25 mothers had been identified and look at dam calf pairs

1 of BSE cases.

2 And if you do that, taking into account
3 survival of both dam and calf, you're able to see an
4 increased risk for those animals born at the end of
5 the maternal incubation period, but no increased risk
6 for those born two or three years prior to onset.

7 So that definitely suggests that it is
8 maternal transmission rather than a genetic
9 predisposition. And that, I suppose, is something to
10 note as well in the potential for carrier animals is
11 that genetic studies that have been done have -- with
12 one exception, which was not followed up with
13 additional experiments, have generally not shown a
14 genetic link in cattle and predisposition.

15 CHAIRMAN BROWN: Is this directed to --
16 yeah, okay.

17 DR. PRUSINER: I would just like to ask
18 you one question. What do you think the mechanism is
19 for a cow near the end of its incubation time so it
20 now has high titers in its brain and it's more likely
21 to infect a calf that's born to it than earlier on?

22 That's what you're saying, correct?

23 DR. DONNELLY: Yes.

24 DR. PRUSINER: That's the strongest data
25 you have. The first piece of data that you -- I don't

1 mean to be tough about this, but I think the first
2 piece of data you quote, the cohort study, tells us
3 nothing.

4 It's zero because of the way the animals
5 were ascertained, the way they were taken into the
6 study. So I think to quote the study constantly is
7 really a mistake. It doesn't -- it's not a clear
8 study. And I think that people in Britain are equally
9 divided amongst what this study means.

10 So the second study is the one you're
11 quoting now. It's your study. And I don't understand
12 the mechanism.

13 DR. DONNELLY: I don't understand the
14 mechanism either. I mean, what we were looking at was
15 increased risk as it was associated with incubation
16 stage. And as an epidemiologist and statistician, I
17 don't think we'll ever get at the mechanism in that
18 manner.

19 One thing that was interesting was an
20 examination of beef suckler calves that John Wilesmith
21 looked at, was to try and look to see what the
22 transmission rate is there. And it was kind of a
23 smallish sample size, but it didn't show any increased
24 risk in those animals that had suckled for
25 approximately a year.

1 So that suggests it probably wasn't milk
2 because, had it been milk, you would have seen a
3 differential in risk. But otherwise, I don't think
4 that all the statistics in the world and the biggest
5 sample size we'd ever actually be able to tell the
6 mechanism.

7 CHAIRMAN BROWN: Yes, Linda.

8 DR. DETWILER: Looking at the database and
9 looking at the calf sample, did you look over the
10 entire course of the epidemic or was it concentrated
11 to a certain point of time with the calves?

12 Because that might -- exposure to feed,
13 too, during their life span might play a difference in
14 the --

15 DR. DONNELLY: The data was mainly on
16 BABs, or born after the ban, cases. But we did
17 control for what the risk from feed would have been in
18 their herd. So there was a control for what they
19 probably would have gotten to see the expected number
20 of pairs we would have seen.

21 So we look at the number of cows and the
22 number of offspring that were cases and how many --
23 within that herd, how many pairs you would expect. So
24 it is controlled for what you'd expect their feed risk
25 was.

1 DR. DETWILER: What year specifically, do
2 you have that?

3 DR. DONNELLY: Oh, born after the ban
4 calves, those would have been -- they were mainly born
5 in the second half of '88, '89 and some in '90.

6 CHAIRMAN BROWN: Mike, sorry to keep you
7 standing so long. You have a comment?

8 DR. BUSCH: Thank you. Yeah, just a
9 comment/question.

10 The hemophilic community often frame
11 themselves as the canaries in the mine, and I think
12 here obviously the British population are the canaries
13 vis-à-vis transfusion transmission potential. We're
14 ten years out from the peak of the BSE epidemic, and
15 I'm just curious, from your models, at what point in
16 time downstream would you begin to conclude that
17 transfusion transmission is not an issue?

18 As this committee begins to deliberate, I
19 think it's important to consider any ban that might be
20 implemented on U.S. travel to Britain. How long will
21 that be in place, and can the experience in Britain
22 give us some sense of when we could discontinue such
23 a ban were one introduced?

24 MR. COMER: I don't think we can really
25 answer that at all because we still know very little

1 about the incubation periods both from cattle into
2 man, so when might the peak of variant CJD cases be in
3 the United Kingdom, and also what the incubation
4 period within the blood supply would be.

5 We simply don't know the answer to either
6 of those questions. And I think we'll be a number of
7 years yet before we can really use the data to give us
8 a better feel for what those numbers are likely to be.
9 So it's not going to be short.

10 CHAIRMAN BROWN: Larry, the last comment
11 now.

12 DR. SCHONBERGER: This would be for
13 Donnelly as well. My understanding is that the oldest
14 new variant case of CJD is in the early '50s. You
15 mentioned that you had data that cattle at different
16 ages had a different susceptibility to BSE.

17 And I was wondering how strong that data
18 is. You talked about an increase susceptibility
19 between the ages of six months and 18 months, but that
20 the exposures, you implied, were as great under six
21 months and over 18 months as during that period, and
22 yet your statistics didn't show that the cattle were
23 coming down.

24 Is that what you were trying to say ?

25 DR. DONNELLY: Well, through the

1 statistics alone of the back calculation, you can only
2 get what's the convolution or the combination of
3 exposure to susceptibility together. But it's by
4 additional data from looking at farmers and what they
5 say they do in practice that exposure seems to be
6 within one order of magnitude about the same all the
7 way through.

8 But you do seem to have this window.

9 DR. SCHONBERGER: You mean after 18 months
10 --

11 DR. DONNELLY: Yes.

12 DR. SCHONBERGER: -- exposure was just as
13 great, but your --

14 DR. DONNELLY: Yes.

15 DR. SCHONBERGER: -- data does not show
16 that they're coming down with the disease?

17 DR. DONNELLY: Oh, yes; and if anything,
18 it gets greater at 24 months when the cattle start
19 milking. One thing I didn't have time to get into was
20 the fact in doing our analysis of the variant CJD
21 epidemic, in addition to requiring consistency with
22 the annual incidence of cases, we also require
23 consistency with the age distribution of cases.

24 And in doing that, we're only able to
25 reproduce the age distribution of the cases observed

1 today if there is some age dependency. That can take
2 the form of an age dependency in the incubation period
3 distribution, or it can take an age dependency in
4 ~~ex~~posure susceptibility.

5 Now, it's difficult to imagine what the
6 biological mechanism, even if you could work it out in
7 cattle, would necessary apply to humans. But also
8 with humans, you have considerable difficulty of hard
9 to quantify differences in characteristics of dietary
10 choices with age.

11 But there does appear to be something. We
12 don't yet know what it is. But through time, in the
13 next couple of years, we will hopefully be able to get
14 more data to tell whether or not we can distinguish
15 between it being an age dependent incubation period
16 and age dependent exposure susceptibility.

17 But in the cattle, it's very clear: you
18 can't get a fit to the data just on the basis of
19 constant susceptibility, or even susceptibility
20 peaking at birth and dropping right off.

21 CHAIRMAN BROWN: Thank you very much, both
22 Drs. Donnelly and Comer.

23 It's now high noon. And I had been
24 reading the agenda from a draft and inadvertently left
25 out a presentation by Dr. Stephen Nightingale about

1 the meeting held by the Advisory Committee on Blood
2 Safety and Availability about the reserve capacity of
3 U.S. blood supply.

4 He will speak next, and he will be
5 followed by Dr. Penny Chan. Both speakers have kindly
6 agreed to limit their presentations to 20 minutes so
7 that we can remain on schedule.

8 Dr. Nightingale.

9 DR. NIGHTINGALE: And if possible, less.

10 Dr. Brown, members of the committee, and
11 ladies and gentlemen, what I will try to do, and do in
12 the next ten minutes, is to summarize the meeting of
13 the Advisory Committee on Blood Safety and
14 Availability that was held on April 29th and 30th of
15 this year to examine the reserve capacity of the
16 United States' blood supply and to recommend how it
17 might be strengthened.

18 But before I change that slide, since Dr.
19 Freas and Dr. Brown raised the issue, let me briefly,
20 within 30 seconds, go over the jurisdiction of the
21 Advisory Committee on Blood Safety.

22 It was chartered on October 9th to advise
23 the Secretary and the Assistant Secretary on a broad
24 range of issues which include: implications for blood
25 safety and availability of various economic factors

1 affecting product cost and supply; definition of
2 public health parameters around safety and
3 availability of the blood supply; and finally, broad
4 public health ethical and legal issues related to
5 blood safety.

6 So I would say, Dr. Brown, yours is, by no
7 means, the only committee which has jurisdiction with
8 which ours overlaps. I am sensitive to the concerns
9 that you raised in your earlier comments and will take
10 them to the Surgeon General.

11 The committee -- could I have the next
12 slide, please?

13 Dr. Satcher opened the April 29th meeting
14 of the Advisory Committee by noting what is on the
15 slide here, "that it may be necessary, at some time in
16 the future, to defer, at least temporarily, some
17 portion of the donor pool in order to maintain the
18 integrity of the blood supply."

19 Dr. Satcher emphasized the need that this
20 be done in a way that would minimize the impact of
21 this action on those who depend on blood transfusions
22 for the health and even their lives. He charged the
23 Advisory Committee to review the state of the reserve
24 capacity of the United States' blood supply and to
25 recommend how it might be strengthened.

1 He further charged the Advisory Committee
2 to do so before, and not after, circumstances might
3 require use of this reserve capacity. And he
4 concluded his charge by reminding the Advisory
5 Committee that we should never be in a position, as
6 some have suggested we may have been in the past,
7 where we would feel obligated to release a unit of
8 blood if we had any doubt whatever about its safety.

9 Could I have the next slide, please?

10 After introductory comments about the
11 current safety profile of the blood supply, Ms. Marian
12 Sullivan of the National Blood Data Resource Center,
13 which is an affiliate of the American Association of
14 Blood Banks, then described the current availability
15 of the blood supply on the basis of data available to
16 her.

17 She stated that, in 1997, about 12.6
18 million units of blood were collected and about 11½
19 million units of red cells were transfused; 93 percent
20 of allogenic units were transfused; 2 percent were
21 discarded because of screening test results; 4 percent
22 became outdated; and 1 percent were unaccounted for.

23 However, as shown on this slide here --
24 leave that right where it is. Turn that slide back
25 on, please. Okay, shown on this slide, total blood

1 collections have decreased by 5.5 percent between 1994
2 and '97, while the total number of whole blood and red
3 cell transfusions increased by 3.7 percent during the
4 same time.

5 And extrapolating from the current trends
6 and making the assumption that Ms. Sullivan reiterated
7 several times, the available blood supply in the year
8 2000 would be 11.7 million units of red cells, and
9 total demand would be 11.9 million units.

10 There were three substantive comments made
11 during the discussion that followed this presentation.
12 The first was that most outdated units are Group AB
13 blood donations which can only be transfused, I think
14 everybody in the room knows, into a Group AB
15 recipient.

16 The second comment was the fact that while
17 the overall supply of blood exceeded overall demand
18 during 1997, that did not mean that there were not
19 local shortages during the year. And indeed, there
20 were.

21 The final comment was that one factor
22 contributing to the trend that Ms. Sullivan described
23 is the aging of the population. About half of all
24 transfusion recipients are over 65. As a result, as
25 the population ages, there will be proportionately

1 fewer donors and proportionately more recipients.

2 After that -- you can just leave that
3 there for a while -- Dr. George Schreiber of Westat
4 and National Heart, Lung and Blood Institute sponsored
5 retroviral epidemiology donor study, then discussed
6 how donor retention might influence the reserve
7 capacity of the blood supply.

8 He began by noting that, while almost half
9 of the adult population of the United States has
10 donated at some time, only about 5 percent donate
11 during a given year. In 1995, about 32 percent of
12 roughly eight million blood donors were first time
13 donors.

14 Half of these donors never returned, and
15 two thirds of those that did returned during the first
16 year after their initial donation. Dr. Schreiber
17 estimated that if the rate at which first time donors
18 returned for a second donation within one year could
19 be increased by 15 percent, the blood supply could be
20 increased by 10 percent.

21 The discussion that followed focused on
22 the suitability of these donors that might be induced
23 to return. Dr. Schreiber has found that individuals
24 who had donated only twice had no greater incidence of
25 HIV or hepatitis C than individuals who had donated

1 more than twice.

2 A similar observation has been made about
3 paid plasma donors. Paid plasma donors who return
4 only once, regardless of the interval after their
5 initial donation, appeared just as suitable as those
6 who returned more often and/or more frequently.

7 After that, Dr. Alan Williams of the
8 American Red Cross Holland Laboratories discussed some
9 preliminary data on the use and effectiveness of
10 incentives to increase blood donation. Again, Dr.
11 Williams emphasized that his data was preliminary, and
12 I will emphasize that again for him.

13 What he did report was he found that the
14 number of donors who report receiving some non-token
15 compensation had increased from 26 percent in 1995 to
16 62 percent in 1998. And in a survey of blood donors,
17 Dr. Williams found that future blood credit is the
18 incentive that would most strongly encourage them to
19 give blood.

20 However, donors indicated that lottery
21 tickets might actually discourage them from making
22 future donations, and that cash incentives might tempt
23 some donors not to disclose a deferrable risk.

24 Dr. Busch then spoke of the Blood Centers
25 of the Pacific, and he discussed differences of risk

1 factors among blood donors. Dr. Busch, I think, will
2 be speaking this afternoon in the public comment
3 period, and Dr. Busch will speak on his own behalf on
4 that point.

5 However, I would note that Dr. Busch's
6 presentation was consistent with the observation of
7 Dr. Schreiber and the plasma industry that single
8 repeat donors are as suitable as multiple repeat
9 donors. And Dr. Busch's presentation supported the
10 suggestion of Dr. Schreiber that we focus efforts to
11 expand the reserve capacity of the blood supply on
12 efforts to increase retention of first time donors.

13 Dr. Gilcher, who is also in the audience
14 and on the committee, did discuss new technologies
15 that might increase yield per donation. He said,
16 however, that because of the increased cost, the
17 increased interval between donations, that this was
18 unlikely to be a significant -- provide a significant
19 addition to the blood supply.

20 Now, in the public comment and the
21 Advisory Committee discussion that followed, the
22 consensus emerged that retention of more first time
23 donors, as Dr. Schreiber suggested, was the strategy
24 most likely to increase the capacity of the United
25 States blood supply and least likely to increase its

1 risk.

2 There was also consensus that it would
3 cost a substantial amount of money and incentives,
4 direct or indirect, to retain these first time donors,
5 and that blood banks could not fund these additional
6 costs from current revenues.

7 However, no consensus was reached on what,
8 if any, incentives, up to and including paid
9 donations, would be effective, how much they would
10 cost, or who would pay for them.

11 With that in mind, the Advisory Committee
12 then addressed the issues of what, if anything,
13 individuals with hemochromatosis or the blood
14 substitute industry could contribute to the reserve
15 capacity of the blood supply.

16 There was substantial discussion on that
17 issue in the long run. The most substantive
18 discussion was by Dr. Al Grindon, who presented a
19 range of estimates of the potential contributions of
20 therapeutic phlebotomies from individuals with
21 hemochromatosis.

22 These estimates range from 300,000 units
23 per year, or 2.5 percent, of the current blood supply
24 to three million units, or 25 percent, of the blood
25 supply. Dr. Grindon's own estimate was on the lower

1 side.

2 After further discussion, the Advisory
3 Committee did unanimously approve a motion that since
4 blood products obtained from persons with
5 hemochromatosis carry no known increased risk to
6 recipients attributable to hemochromatosis, per se,
7 they may be a valuable resource to augment the
8 diminishing supply.

9 The Advisory Committee recognized the
10 obligate need for phlebotomy can constitute undue
11 incentive for blood donations due primarily to
12 financial considerations. For this reason, the
13 Department of Health and Human Services, they
14 recommended, should create policies that eliminate
15 incentives to seek donation for purposes of
16 phlebotomy, and that, as such undue incentives are
17 removed, the Department should create policies that
18 eliminate barriers to using this resource.

19 Finally, the Advisory Committee heard
20 presentations from representatives of the blood
21 substitute industry on the potential contribution of
22 blood substitutes to the reserve capacity of the blood
23 supply.

24 The consensus of these presentations was
25 that proof of principle had been established for these

1 agents, but unequivocal demonstration of safety and
2 efficacy in adequately powered Phase III clinical
3 trials had not yet been accomplished.

4 For this reason, it appeared to the
5 committee unlikely that any of these agents would be
6 able to make a meaningful contribution to the reserve
7 capacity of the blood supply within the next two
8 years, but quite possibly they could do so at a later
9 time.

10 Let me have my last slide, which is a
11 summary of the recommendations that the -- the summary
12 is that demand for blood is increasing at about 1
13 percent per year and supply is decreasing at about the
14 same rate. The extrapolation from the current trend
15 says demand is expected to exceed supply in the year
16 2000.

17 The strategy that appears most likely to
18 increase the reserve capacity of the blood supply --
19 and again, least likely to increase the risk of blood
20 transfusion -- is to increase retention of first time
21 blood donors.

22 However -- and these are important.
23 However, there is no guarantee that this goal could be
24 achieved. No firm estimate of how much it would cost
25 and no certainty who would pay for it.

1 And finally, the complementary strategy to
2 increase the reserve capacity of blood supply is to
3 eliminate undue financial incentives for blood
4 donations by individuals with hemochromatosis. And as
5 such undue incentives are removed, to create policies
6 that eliminate barriers to this use.

7 However, the potential contribution of
8 this resource, while it may be substantial, is again
9 there is no guarantee that this potential will be
10 realized.

11 (Applause.)

12 CHAIRMAN BROWN: Thank you very much, Dr.
13 Nightingale, for a lucid and concise presentation of
14 the Advisory Committee's deliberations and
15 conclusions.

16 Unless there are questions for Dr.
17 Nightingale, we will proceed then directly to Dr.
18 Penny Chan, who will report on the Canadian viewpoint
19 which, as I understand it, is in flux with two
20 meetings bracketing this one as though the Canadians
21 want to see what we're going to do before they make up
22 their mind.

23 DR. CHAN: Well, what can I say? I
24 promise I won't speak as fast as Dr. Nightingale.
25 Probably not as clearly.

1 I'd like to thank you first. And I
2 probably -- although this was the meeting that I was
3 asked to speak about held by the National Blood Safety
4 Council on variants of CJD and issues for the blood
5 system, I think I need to talk a little bit about our
6 process and the background that brought us to these
7 meetings before I go into a description of the
8 meeting.

9 So, if I could have -- what I'd like to
10 talk about is a little bit about what the council is,
11 what the issue was, the process, and the background
12 around which this meeting was set.

13 I'll go through just the agenda, very
14 briefly mention a few things about the actual meeting,
15 then the recommendations, and, although the meeting
16 was held less than a month ago, what has happened
17 since then.

18 So very briefly, the National Blood Safety
19 Council is probably the Canadian equivalent to the
20 Advisory Committee on Blood Safety and Availability
21 that Dr. Nightingale was talking about. There are a
22 few differences, some of which I may highlight.

23 It has 16 members. Three are consumers.
24 Two are from industry. I should stress that none of
25 the members are representatives of an organization.

1 They were invited for their experience and their
2 expertise, but not as representatives.

3 And when I say industry, both the members
4 that come from industry come because of fractionation,
5 experience and perspective. And we don't actually
6 have any people from the current operators of the
7 blood system -- that is, the collection blood
8 services.

9 However, within the group that I've listed
10 under treating physicians, we have an ethicist, we
11 have a hemophilia treater, we have several people with
12 the experience in apheresis. We also have a couple
13 that have been involved in the blood services
14 previously.

15 We've got a couple of people, public
16 health officials. And this is significant not only
17 because of their expertise, but because of the
18 regional and more local basis for public health. So
19 it gives us sort of a broader dimension to the
20 discussions.

21 We've got a hospital laboratory
22 technologist, a lawyer and an anesthetist. Our
23 mandate is to advise the federal Minister of Health
24 directly. We are -- independent staff, I guess, is
25 me, which means that I don't work actually for the

1 federal government.

2 I'm not within the actual Department of
3 Health. My job is to support the council entirely, so
4 that is a slight difference. And this, I'll get into
5 a little later, means that the council determines its
6 own agenda, the issues that it will deal with.

7 The history, just very, very briefly. I'm
8 sure you're all fully aware of the Commission of
9 Inquiry that took about four years and focused a
10 tremendous amount of attention on blood safety, on
11 decision making, and, as I'll describe a little bit
12 later, set the background very strongly.

13 At that time that the report was released,
14 the Minister of Health announced the formation of this
15 council. And it was seen as a means of overseeing
16 blood safety, of helping to prevent such disasters
17 occurring, opening a dialogue, etc.

18 He named initially just seven members.
19 And there has been a period of probably a year where
20 we've expanded the membership, determined the mandate
21 and all of that.

22 So, the functions have sort of been broken
23 down into three. These are the functions of the
24 council. One is more or less a watchdog over the
25 blood system.

1 Now, as we advise the federal minister,
2 it's largely the structural organization and
3 performance of the federal departments, which are the
4 regulator equivalent to your FDA, and the LCDC, which
5 is equivalent to your CDC.

6 So we have a mandate to watch the actions,
7 the organizational structure, is this the best for
8 maintaining the safety of the blood system. We also
9 have the role of helping to identify any risks to
10 blood safety that the council may consider are not
11 being dealt with.

12 And we have a very strong role in
13 communication, and this means putting the parties
14 together, having consumers being totally open to the
15 public in information exchange, education, and
16 certainly provide a forum for open debate on any
17 issues.

18 We have two types of meetings. There are
19 planning meetings which, as I mentioned before, we set
20 out own agenda. It is not set by the government,
21 therefore it takes a time to work out how and what the
22 issues are. And we do have fairly frequent meetings
23 with the Minister of Health.

24 And then we have open forums. And it's
25 going to be the third of the open forums that I'm

1 going to be describing. The outcomes are not
2 necessary that we have to come out with
3 recommendations. We're not given questions to answer.

4 If we think there's a recommendation that
5 needs being made, then council will make it. If the
6 process has been sufficient, the people have got there
7 and talked about things and courses of action become
8 fairly obvious, then hopefully we can facilitate that
9 process.

10 So the issue that we dealt with in early
11 May was "do variants of CJD pose a risk to blood
12 safety?" And we sort of divided it into the classic
13 variant and others. The others came out of, I'm sure
14 you're all aware, of the scare that we all had over
15 the Utah donor was this a possible chronic wasting
16 disease, etc.

17 So we just put that issue on the table and
18 let's see where it went. Our process -- we circulated
19 a notice widely to all associations, consumer groups.
20 We've sort of got a mailing list that's growing.

21 The day before the meeting, there was a
22 flurry of activity. The two blood service
23 organizations in Canada both issued a press release.
24 And I think it was either that day or the day before
25 the regulator had also issued a letter to the blood

1 services regarding donor deferral and variant CJD.

2 So I have to tell you that obviously it
3 wasn't council that put this issue on the table.
4 There was a tremendous background that we set our
5 meeting on. And I did already mention the climate
6 that has been set from the Krever report and some
7 significant impact on the way we're dealing with
8 things.

9 The first, and probably most significant,
10 is there's been a total reorganization of the blood
11 system such that the Red Cross is no longer running
12 the services. We now have two blood service
13 organizations. Héma Québec is in the providence of
14 Québec, and Canadian Blood Services over the other
15 provinces and territories.

16 And there were some principles -- I've
17 called them principles. You can talk about them as
18 standards, but sort of moral standards that came out
19 very strongly out of the report. And I think there's
20 very heightened awareness of these issues still in
21 Canada.

22 And these I've labeled the precautionary
23 principle or perhaps safety is paramount. And there
24 were two things that Justice Krever laid out fairly
25 clearly that you should not await scientific certainty

1 to act, and you should also consider the likelihood
2 and the severity when you're considering risk.

3 And I'll go into a couple of quotes from
4 the report because I think they're fairly important
5 for a background here. He also talked about "the
6 importance of national standards, but that they should
7 be local variation if it was deemed important for
8 protecting safety and independent decision making."

9 So that's sort of the general background
10 or environment. And then specifically, on the area of
11 new variant CJD and the possibility of deferring
12 donors who had resided in Britain, at the end of 1998,
13 there was a report released by the Bayer Advisory
14 Council on bioethics in Canada.

15 And it had 20-odd recommendations, one of
16 which was that donors who had resided in a BSE country
17 should be deferred from donation. And then,
18 subsequently, I think it was in January of this year
19 the LCDC had asked for a risk assessment to be
20 performed on new variant, and that report contained a
21 recommendation also for the deferral of donors from
22 UK.

23 And then we do have what is called the
24 Expert Advisory Committee on Blood Regulation, which,
25 like your plethora of committees, is equivalent to

1 your BPAC. It's a more technical advisory committee
2 to the regulator.

3 Their meetings are not open to the public.
4 And they had also considered this issue and made a
5 recommendation to the regulator on the issue of donor
6 deferral. However, they had asked to await the data
7 on -- now, if you want to know whether that's a
8 spelling mistake, yes, it is, but it could be
9 considered as a -- the implications or the impact so
10 that you have a new word for it -- that's the donor
11 survey.

12 Now, I've just copied a few -- and I've
13 really cherry picked excerpts from Krever Report,
14 those that were discussed in the meeting that set a
15 sort of a standard here.

16 And the first excerpt I've chosen was "the
17 operator of the blood supply system and the health
18 protection branch must not wait for scientific
19 certainty about the spread of a transfusion or
20 infusion associated disease and the effectiveness of
21 particular risk reduction measures before they
22 actually reduce risks."

23 Now, that second part means that just
24 because you cannot totally eradicate the risk doesn't
25 mean that you shouldn't consider taking actions to

1 reduce the risk if there are actions that are
2 possible.

3 And the balancing of risks and benefits of
4 taking action should be dependent not only on the
5 likelihood of the risk materializing, but also the
6 severity of the effect if the risk does materialize on
7 the number of persons who should be affected and the
8 ease of implementing protective or preventive
9 measures.

10 And clearly, the more severe the potential
11 effect, the lower the threshold should be for taking
12 action. So you can see we're setting standards here.

13 It recommended that Canada "have a
14 national system for the collection and delivery of
15 blood components and blood products." That clearly
16 was not implemented. We have two systems.

17 However, a national blood supply system
18 will have national standards to ensure that all
19 persons in Canada needing blood components or blood
20 products have access to products of uniform quality.

21 Now, this poses a little bit of an
22 interesting dilemma. And even within the report, like
23 most things that some people refer to as the Bible
24 there, you can find a quote that says something that's
25 a little bit different.

1 And so another excerpt says that "the
2 National Office of the Operator must create an
3 enforced national standards, but it should permit its
4 local centers to exceed them."

5 So, as long as you've got a minimal
6 standard, then regions can take actions or should take
7 actions to exceed those standards if it's necessary.

8 It's recommended that the "Bureau of
9 Biologics and Radiopharmaceuticals" -- that's our
10 regulator -- "make decisions with respect to the
11 safety of blood components and blood products
12 independently of those made by manufacturers and
13 distributors."

14 Now this one has a lot of historic
15 significance, and perhaps I've only used it here to
16 say that really the manufacturers and the regulator
17 need to make independent decisions: "Obviously the
18 manufacturers have to meet the regulatory standards;
19 however, they can exceed them."

20 And that's what the next part is, that
21 "the regulator accept manufacturers' or distributors'
22 decisions to take actions that exceed the standards of
23 safety set by the Bureau." And I think this is the
24 final quote.

25 "The regulator should never interfere with

1 the decisions of a manufacturer or the operator to
2 take a risk reduction measure that exceeds its
3 regulatory standards."

4 I realize that I've spent rather a lot of
5 time on that, and I apologize. But I think the
6 context for the meeting is fairly important. I very
7 briefly, on the next two, outlined the agenda. I've
8 taken off some of the details.

9 And, as you will notice, your Chair here
10 today was also the person who started our meeting off,
11 and I might say he started it off by saying two
12 things. One is, "I intend to be controversial." And
13 secondly, he also said, "If you're looking for
14 answers, you're not going to get them."

15 So that having been said about our
16 meeting, the first section was really the overview.
17 It was an information session, but we also tried to
18 capture the experimental data that was available. And
19 following strictly the experimental data, we went into
20 a panel discussion where we asked what's the
21 likelihood of transmission by blood and blood
22 products.

23 Unfortunately, in the discussion, the
24 distinction was not kept perhaps as clearly as it
25 should have been between the components and the

1 products. And is it likely to be the same for classic
2 and new variant?

3 And thirdly, the question was: What is
4 the biological plausibility, from our experimental
5 data, that there will be other variants of CJD? I
6 won't go into the attempts of answering these.

7 We had a discussion by Dr. Will about the
8 situation in the United Kingdom with respect to new
9 variant and the actions they had taken. We had
10 descriptions of what's going on in Canada,
11 particularly on the surveillance system that we have
12 for CJD in Canada; the current prion research; the
13 precautions; and, for blood safety, our regulatory
14 policy and our policy development.

15 Then we had time for submissions and
16 discussion, and a panel discussion again.

17 If we can go to the next slide.

18 The second day we figured that we would
19 change gears because we were not just looking at the
20 science, but we were looking at the area that Dr.
21 Brown had said: When we don't have the answers from
22 the science, but we still have to develop policies,
23 what are the things we need to consider?

24 And Dr. Hoots, who is also a member of the
25 Blood Safety and Availability Committee here in the

1 U.S., did kind of a nice overview of some of the
2 factors that are important.

3 And Mr. David Page, who is a hemophiliac,
4 ~~and~~ he talked about some of the factors that are very
5 important in the decision making from the perspective
6 of consumers. And one of the critical things, and
7 perhaps why I've gone into the Krever setting the
8 standards, is the tremendous loss of faith in the
9 blood system and the implications for scientists,
10 physicians and people who have to make decisions and
11 why this has to be a factor to be considered when you
12 are making decisions. Then we had the recommendations
13 that I've already described, one from the Bayer
14 Bioethics Report, and one from the Risk Assessment
15 Report that was given to the LCDC. And then we had
16 the impact of deferring donors.

17 And Dr. Marc Germain and Dr. JoAnne
18 Chiavetta presented the data from surveys that were
19 not unlike those that Dr. Williams just presented. In
20 fact, I believe there was collaboration in the
21 establishment of the types of questions that were
22 asked.

23 I'm not going into the data here. Dr.
24 Germain and Dr. Chiavetta are both here and any
25 questions about that should really be addressed to

1 them. I will make just two points. One is that the
2 data vary between the two organizations and, like Dr.
3 Williams said, within regions for each of the
4 organizations, particularly for the Canadian Blood
5 Services.

6 And perhaps the Canadian Blood Services
7 data are more analogous to those of the -- the one
8 that was conducted here in U.S. I really won't say
9 anymore about that. As I say, the raw data, I think
10 hopefully, will be circulated to you all.

11 Then we had submissions and discussion on
12 the impact. And the last part of the second day we
13 devoted to look back notification of recipients. And
14 we had a description of a process that had gone on
15 that started from the actual notification, the follow
16 up after the notification, and, I might say, the
17 lawsuits that are still pending over it.

18 We debated some of the ethical issues, and
19 then we had a very interesting consumer panel which
20 consisted of people who -- we had David Page, who is
21 a hemophiliac, from his perspective. We had a
22 thalassemic who is a constant user of components.

23 And we had a couple of parents of children
24 who had been notified that their children had received
25 products that were CJD implicated when that was the

1 policy in Canada.

2 So that was our meeting. And then I think
3 I would just -- oh, yeah, there you go. That's the
4 data from the survey. It will be circulated, I
5 promise, and we can discuss those.

6 Finally, the recommendations that council
7 came up with. And the first is a little long winded,
8 but what it's trying to say here is, consistent with
9 the letter from the regulator that went out, as I
10 said, the day before the meeting, that members of Héma
11 Québec and the Canadian Blood Services should get
12 together, and we were prepared to serve as the
13 independent third party, to make decisions about
14 deferral of donors who have resided in the UK such
15 that there is a single, high standard.

16 Donor deferral policies must be coupled
17 with strategies to increase donor recruitment. So
18 that's really not giving a time, but saying that the
19 two organizations have to work out a single standard
20 and that council would facilitate that process.

21 The rest of the recommendations I'll go
22 through very briefly. Health Canada had not
23 standardized its -- not finalized its policy on
24 classic CJD, and we advised that they do so.

25 The blood services should provide clear

1 statements about the reasons for believing that there
2 are no longer concerns regarding the classic sporadic
3 CJD; that Health Canada and the blood services provide
4 communication regarding all aspects of product
5 quarantine.

6 And that was because there's considerable
7 confusion over the Utah donor case. Health Canada
8 identify and provide information that all products
9 that contain trace amounts of blood products -- this
10 was interesting.

11 Many of the physicians did not even know
12 which products that were being distributed contained
13 blood products. We thought this was an important
14 issue. All products can be tracked in the event of an
15 infected donor. And that they take steps to
16 discourage manufacturers from using blood products in
17 the production or formulation of other products.

18 That mechanisms are developed to ensure
19 that -- oh, this is the surveillance for CJD. That
20 criteria have to be established to determine between
21 classic and variant forms, which I know is the topic
22 that you are going to be discussing this afternoon.

23 And that these criteria should be very
24 clearly put out to people and it's clear what they do
25 when they get a case.

1 There was concern about the partitioning
2 of the experimental data regarding the partitioning of
3 the prion with the cryoprecipitate. And this
4 recommendation says that the use of cryoprecipitate
5 should be reviewed.

6 Finally, I think -- I keep saying finally.
7 I think I'm getting to the end. That the information
8 -- oh, that our equivalent to the BPAC, their
9 recommendations be made more public so that people
10 know when these things are going to occur; that Health
11 Canada take the steps to ensure that notification
12 policies are consistent.

13 And this was felt very strongly, the next
14 one, from the consumers because notification without
15 education and follow up is worse than no notification
16 at all. All notification programs must include
17 appropriate education and follow up components.

18 That Health Canada then ensure that the
19 recipients notified in the past are informed of the
20 facts and the policy changes. And that Health Canada
21 ensure the simple, clear education of the public and
22 physicians on CJD as it relates to blood transfusion.

23 Since May 7, 1999, lots of things have
24 happened. However, the decisions have not been made.
25 There is a deadline of June 10th which the regulator

1 has asked the operators to decide how long and what
2 deferral criteria will be put in place.

3 And there are several meetings. The CBS
4 has convened yesterday, I think it was, a meeting of
5 their advisory committee to help them look at all the
6 implications of donor deferral.

7 And the meeting that's scheduled to have
8 the operators together to make a decision will occur,
9 we hope, next week. There have been lots of other
10 things. But I hope that gives you a little bit of an
11 understanding of our process and perhaps the
12 environment in which we're dealing with many of the
13 same issues that you are.

14 (Applause.)

15 CHAIRMAN BROWN: Thank you very much, Dr.
16 Chan.

17 Do we have a question for Dr. Chan? We
18 could probably work any comparative discussion into
19 this afternoon's open public hearing or committee
20 discussion.

21 Yes, Jay.

22 DR. EPSTEIN: The issue of elasticity of
23 the blood supply arises any time you contemplate
24 deferring donors. And, you know, there was loose talk
25 about UK exposure related deferral reckoned by, you

1 know, even just weeks to months of exposure.

2 And I just wonder, is there any figure
3 that you can provide that represents what you think
4 the Canadians believe can be recovered by new
5 recruitment or increased frequency of donation?

6 In other words, what percent donor loss
7 through deferral do you think your system tolerates?

8 DR. CHAN: I will not -- I cannot answer
9 that question, but I can say that the types of -- the
10 two services will have quite different elasticity.
11 There's absolutely no doubt about that. For one, the
12 inventory levels are different between the two
13 organizations, plus the number of donors that would
14 have to be deferred if you drew the line at one month
15 or six months.

16 These are two numbers that have been
17 bandied around, but I really would much prefer either
18 or both of the operators to speak to that if you want
19 a specific answer. Different is the issue. Maybe 5
20 percent was the number that was bandied around.

21 Is that sufficient, or can we -- okay.

22 CHAIRMAN BROWN: Larry.

23 DR. SCHONBERGER: When we had the problem
24 with the human growth hormone, the solution turned out
25 to be to switch to molecularly engineered hormone. Is

1 there any such solution to our blood problem in the
2 near future?

3 Does anybody have any information on that;
4 that is, using some substitute that would not require
5 the human donator?

6 CHAIRMAN BROWN: Well, Factor VIII is
7 available as a recombinant. I don't know of any other
8 derivatives are yet available.

9 DR. EWENSTEIN: Let me comment on that.
10 I mean, you're right, Factor VIII is available.
11 There's still albumin in many of the preparations,
12 although there are movements afoot to slowly release
13 products that don't have any albumin as stabilizers.

14 There is a Factor IX product that's
15 available without any human component. But there's
16 still a group of patients even in the coagulation area
17 that are dependent on the plasma derived products.
18 There's a recombinant, von Willebrand's product,
19 that's under development, but I would predict would be
20 years away.

21 And so just licensed, for example, was a
22 product to treat von Willebrand's disease with an
23 intermediate purity, Factor VIII. So I think the
24 answer to your question is we're getting there, but
25 that there are still large segments of the bleeding

1 disorders community that rely on plasma derived
2 products.

3 And then, of course, I can't see, at least
4 as a hematologist, any time soon having a recombinant
5 IV Ig preparation.

6 CHAIRMAN BROWN: This -- yes, Peter.

7 DR. LURIE: Just back to the question of
8 elasticity of the blood supply. And I apologize.
9 This being raised now raises questions for me about
10 the particularly central slide that Dr. Nightingale
11 presented.

12 Can you put that one up again? Criss
13 crossing lines. I guess I have first a question for
14 you and then, depending on your response, two or three
15 comments on it.

16 My question is: Are the extrapolations
17 that you present in that slide extrapolations from
18 just the '94 to '97 period, just those two data
19 points, or are we really looking back further in time?

20 DR. NIGHTINGALE: The slide is what it is;
21 it's a '94 survey and a '97 survey. It comes with
22 confidence intervals that you can see. It is our
23 current best estimate, and it is understood that this
24 is not a prediction within those confidence intervals.

25 But I think the message in the slide is

1 that there's not a lot of slack in the blood supply
2 right now.

3 DR. LURIE: I think the message in the
4 slide is overstated for several reasons. The first is
5 that the Y axis begins at about 11 million units of
6 transfused blood, and so it makes the -- in a section,
7 look rather sharper than, in fact, it is if you
8 extended it all the way down to zero.

9 The second point is that you've made an
10 extrapolation based just on two points, as you say;
11 and which, in effect, makes it seem as if the two
12 lines are independent of one another. I like to think
13 that the blood transfusion industry, aware of the
14 change between '94 and '97, is, in fact, reacting in
15 some way, presumably by increased recruitment.

16 So there is a kind of inevitability
17 applied to all of this that doesn't really quite seem
18 right to me.

19 DR. NIGHTINGALE: Sure. And the -- what
20 doesn't seem right is that past experience will
21 predict future experience, and that is not the
22 implication. I think the implication of the slide is
23 that there are -- there is a bit of concerning
24 information raised at the meeting.

25 For example, Dr. Williams' survey finding

1 -- again, preliminary -- that in 1995, 26 percent of
2 donors reported receiving some incentive; in 1997,
3 that 62 percent reported receiving some incentive.

4 The conclusion that the speakers in the
5 public comment section brought to our advisory
6 committee was, as I stated at the outset, was that
7 there's not a lot of slack in our current blood
8 supply, and attempts to quantitate that, you make your
9 best effort and that's what I think this slide
10 represents.

11 CHAIRMAN BROWN: Yes, Peter, that's fine.

12 Thank you, Dr. Nightingale.

13 This is certainly going to be heatedly
14 discussed in the discussion period this afternoon.
15 And so I'm going to call time for lunch now, but we're
16 going to come back to that and particularly since
17 there are present on this committee now two or three
18 people who were present there.

19 And clearly this is an important issue.
20 And we'd like to thrash it out as thoroughly and
21 satisfactorily as possible, and we will.

22 I'm going to reconvene at 1:30 rather than
23 1:45. That's 45 minutes. 1:30.

24 (Whereupon, the proceedings recessed for
25 lunch at 12:45 p.m.)

A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

(1:42 p.m.)

1
2
3 CHAIRMAN BROWN: This afternoon's program
4 will begin with several presentations as a part of the
5 open public hearing.

6 And Bill, did you have anything that you
7 wanted to say about the public hearing part?

8 DR. FREAS: Nothing other than the fact
9 that we do welcome comments from the audience. And
10 this your opportunity, if you're not on the agenda, to
11 come forth and express your views to this committee.

12 CHAIRMAN BROWN: Yes, there have been
13 several speakers who have given the FDA notice that
14 they wanted to make a short presentation. And in
15 general, as I recall from past meetings, these
16 presentations should be limited to five minutes.

17 DR. FREAS: That is correct.

18 CHAIRMAN BROWN: The first speaker from
19 the Armed Services Blood Program, who you've already
20 heard from earlier this morning, is the Director of
21 this blood program, and it's Captain Bruce Rutherford.

22 CAPTAIN RUTHERFORD: Good afternoon.

23 The Department of Defense would like to
24 thank you for allowing us to offer public comment.

25 I am Captain Bruce D. Rutherford, Medical

1 Service Corps, United States Navy, the present
2 Director of the Armed Services Blood Program.

3 On 5 February, 1999, Dr. Sue Bailey, the
4 Assistant Secretary of Defense for Health Affairs,
5 forwarded a letter to Vice Admiral David Satcher,
6 Public Health Service, the Surgeon General of the
7 United States.

8 In that letter, Dr. Bailey expressed her
9 opposition and the opposition of the Surgeon Generals
10 of the Army, Navy and Air Force on deferring
11 individuals as blood donors based on "perception" of
12 a "possible" risk of transfusion transmission of the
13 agent for "new variant" CJD.

14 There has not been a single case, repeat,
15 single case of transfusion transmitted new variant CJD
16 or classical CJD reported in the world in more than 55
17 years since transfusion of blood products became
18 widely accepted as a treatment regime.

19 In November of 1991, the Department of
20 Defense issued an advisory recommending that
21 individuals participating in Operation Desert Storm be
22 deferred as blood donors after a number of Desert
23 Storm troops were identified with cutaneous and
24 visceral *Leishmania tropica*.

25 Knowing that *Leishmania donavani* was

1 transfusion transmissible, and now knowing the extent
2 of infection rate of the "at risk" population, the DOD
3 decided to defer those individuals as blood donors who
4 participated in country in the Persian Gulf.

5 It was not until December of 1993, or two
6 years later, that the DOD stopped asking leishmaniasis
7 related questions of its blood donors. The cessation
8 was due to a concentrated effort by the military
9 health system in identifying an extremely small number
10 of infected individuals and the follow-on screening
11 questions' ability in identifying an extremely small
12 number of donors with symptoms where leishmaniasis
13 could have been a possibility.

14 However, a study in the survivability and
15 infectivity of viscerotropic *Leishmania tropica* in
16 human blood donors from ODS participants was later
17 shown to support our concern and was published in the
18 American Journal of Tropical Medicine and Hygiene in
19 1993.

20 Transfusion transmission by *Leishmania*
21 species was a known, not theoretical. We know the
22 calculatable risk of being injured in a car accident,
23 yet millions of individuals a day drive their cars
24 with hundreds of thousands being injured per year and
25 tends of thousands killed each year.

1 It is the same with airplanes, lightening
2 and other activities.

3 In theory, anything is possible. I
4 remember back a few years ago when the Institutes of
5 Medicine came out with this HIV report. Yes,
6 hindsight was better, but that has always been true.

7 I think in this case we have hindsight, 55
8 years of hindsight. We do not need to institute a UK
9 deferral policy which will only lead to further
10 crippling of our nation's blood supply and more
11 product shortages.

12 However, what we do need is a concerted
13 research effort by federal and civilian entities to
14 develop human virus-free or non-human products to
15 replace the majority of products that we presently
16 use.

17 We need Hemoglobin-Based Oxygen Carriers
18 presently in clinical trials moved through the
19 regulatory process at a faster pace. We need better
20 hemorrhage control products such as fibrin or non-
21 fibrin based bandages.

22 We need more recombinant clotting factors
23 produced in transgenic herds, yeast or bacteria. We
24 need to move away from 80 years of collecting blood.

25 Thank you.

1 CHAIRMAN BROWN: Thank you, Captain
2 Rutherford.

3 Are there any questions that any of the
4 panel would wish to address to Captain Rutherford?

5 The next presentation will be by Kay R.
6 Gregory of the American Association of Blood Banks.

7 MS. GREGORY: Good afternoon.

8 I'd just like to come up here rather than
9 try and fix that microphone to my height.

10 The American Association of Blood Banks is
11 the professional society for over 9,000 individuals
12 involved in blood banking and transfusion medicine and
13 represents roughly 2,200 institutional members
14 including community and Red Cross blood collection
15 centers, hospital-based blood banks, and transfusion
16 services as they collect, process, distribute and
17 transfuse blood and blood components and hematopoietic
18 stem cells.

19 Our members are responsible for virtually
20 all of the blood collected and more than 80 percent of
21 the blood transfused in this country. For over 50
22 years, the AABB's highest priority has been to
23 maintain and enhance the safety of the nation's blood
24 supply.

25 The association operates a wide array of

1 programs to meet the safety priority and is proud to
2 have played a key role in ensuring that the nation's
3 blood supply is safer today than ever before.

4 The AABB appreciates this opportunity to
5 comment on the potential deferral of donors who have
6 traveled to Great Britain as a means of reducing the
7 theoretical risk of transmission of nvCJD through
8 transfusion of blood and blood products.

9 The AABB wishes to reiterate its previous
10 position stated at the last meeting of this committee
11 that any measures taken to decrease a theoretical risk
12 must not impact safety by decreasing the availability
13 of the blood supply.

14 The AABB points out that classical CJD has
15 been the subject of intensive study and notes that
16 current opinion is moving toward a position that
17 transfusion does not transmit this disease. AABB
18 recognizes that data from classical CJD cannot be
19 extrapolated to new variant CJD.

20 Nevertheless, there are no scientific data
21 to support deferral of donors for new variant CJD.
22 AABB considers it very important to continue to gather
23 and assess data about new variant CJD and was pleased
24 to be able to participate in the survey you heard
25 about earlier today to determine the magnitude of

1 donor loss should donors be deferred based on travel
2 to Great Britain.

3 In December, when you met last, this
4 committee recognized that 11 percent of donors, as
5 estimated by AABB and other presenters, would not be
6 tolerable. And you asked for more data to evaluate
7 the impact of imposing different deferral criteria on
8 blood availability.

9 The AABB would like to call your attention
10 to recent data obtained from the National Blood Data
11 Resource Center on current trends in blood donation
12 and utilization, and you've heard this already this
13 morning. Data obtained from the 1998 blood collection
14 and utilization survey indicate that in 1997 12.6
15 million units were collected and 11.5 million units
16 were transfused.

17 For allogeneic units, 93 percent were
18 transfused. Between 1994 and 1997, total blood
19 collections decreased by 5.5 percent, while the total
20 number of whole blood and red cell transfusions
21 increased by 3.7 percent during the same period.

22 Extrapolating recent trends, the National
23 Blood Data Resource Center predicts that demand will
24 exceed supply by the year 2000 if no changes in
25 deferral criteria are applied. Therefore, even with

1 no changes in deferral criteria, it is becoming
2 increasingly difficult to maintain an appropriate
3 level of supply.

4 Spot shortages during holiday periods and
5 during the summer will be even more difficult to
6 alleviate. Any new deferral criteria for donors will
7 decrease the number of donations available. Thus, a
8 policy that defers even a very small percent, such as
9 one to two percent, of available donors will have a
10 detrimental effect on blood availability.

11 Furthermore, donors deferred for travel to
12 Great Britain would, of necessity, be replaced at
13 least in part by first time donors, a population which
14 has shown to have higher behavioral risk and a higher
15 incidence and prevalence of infectious diseases
16 known to be transmitted by blood.

17 Therefore, it is possible that the change
18 in the donor base that might occur as a result of
19 donor deferral or travel to Great Britain might
20 increase the risk of transmission of other known or
21 unrecognized transfusion transmitted pathogens.

22 Another issue that merits consideration is
23 the potential psychological impact of deferring donors
24 who have traveled to Great Britain. A person who is
25 excluded from donation based upon concerns of

1 transmitting nvCJD may react by becoming anxious about
2 whether he or she might develop nvCJD at a later date.

3 This is especially worrisome, in that the
4 risk is theoretical, there is no short term
5 intervention or resolution available for the donor,
6 and there is no intervention that can be taken on the
7 donor's behalf to alleviate such concerns.

8 In conclusion, AABB notes that there is no
9 evidence that nvCJD is transmitted by blood
10 transfusion. There are no cases of nvCJD in the
11 United States. It is unknown whether travel to Great
12 Britain correlates with exposure to or infection with
13 the agent of BSE.

14 And there is no evidence that any proposed
15 criteria will decrease the theoretical risk of
16 acquiring nvCJD from transfusion. In contrast, there
17 is good evidence that even a one to two percent loss
18 of donors due to new deferral criteria will have a
19 significant impact on blood availability and, hence,
20 on the safety of those transfusion recipients who
21 cannot tolerate a delay in receiving blood products.

22 The country should contemplate nvCJD
23 deferral criteria only when it is apparent that such
24 a policy would improve blood safety more than the loss
25 of donors and the associated decrease in blood

1 availability would compromise blood safety.

2 Thank you.

3 CHAIRMAN BROWN: Thank you, Ms. Gregory.

4 The word theoretical has been used many,
5 many, many times this morning and will continue to be
6 used, and it's being used correctly. I'd just point
7 out that, for ten years, between 1985 and 1995, the
8 risk of new variant CJD from BSE was also theoretical.

9 The next speaker is Dave Cavanaugh from
10 the Government Relations Committee of Ten Thousand.

11 MR. CAVENAUGH: I'm the government
12 relations person at the Committee of Ten Thousand.
13 The organization is the Committee of Ten Thousand.

14 CHAIRMAN BROWN: Yes, that's fine. Thank
15 you.

16 MR. CAVENAUGH: Okay, COTT, which is the
17 Committee of Ten Thousand, is gravely concerned about
18 the industry logic favoring UK donors over additional
19 U.S. replacement donors even with the survey, and even
20 with the lack of data on paid and unpaid high volume
21 pheresis donors.

22 This morning's discussion showed a glaring
23 omission in the analysis to date of the impact of
24 excluding well paid, highly educated, non-incentive
25 provided pheresis donors in addition to the larger,

1 understood group of paid pheresis donors.

2 We've heard quite a bit in terms of the
3 studies and in terms of some of the questions about
4 ~~the~~ likely blood borne nature of this never documented
5 entity of prion and its ability to be transmitted by
6 blood.

7 There's a perceived link between new
8 variant and beef that's been raised based on
9 proximity, but the BSE classical CJD link should not
10 be forgotten. It should be entertained at the
11 minimum. Living in the United Kingdom in the late
12 '80s seemed to be a major factor, for example.

13 What was it about living there, that's
14 proximity. Both statistic presenters showed clear
15 risk of new variant in the blood, not even enlarging
16 the scope to include classical CJD. There are no nv
17 cases in the U.S., but plenty of classical --
18 arguably, much more than the one in one million rate
19 alleged.

20 Just ask CJD Voice, the patient-family
21 support group which spoke before you 18 months ago.
22 Small then, its numbers have mushroomed. Something is
23 getting transmitted. Can it all be through beef? But
24 most disturbing is the recent news confirming a second
25 mutated form of prions also causing death in under a

1 year.

2 This doubling of the number of ways prions
3 can be malformed with fatal results raises our concern
4 levels considerably. The explanation that it is
5 spontaneous sounds like an early catch all. With an
6 entity so new, so unknown and so dangerous, the
7 committee should be providing every protection
8 possible, not bowing to arguments of relative risk.

9 Thank you.

10 CHAIRMAN BROWN: Thank you.

11 The fourth presentation will be by Dr.
12 Michael Busch, who is a member of the Blood Safety and
13 Availability Committee and Scientific Director of
14 Blood Centers of the Pacific.

15 DR. BUSCH: Yes, thank you. I'm happy to
16 be here and to share a little bit of context because
17 my concern and reason to come to the meeting was to
18 try to put a broader perspective to a focused
19 deferral.

20 And I think we've learned in the past that
21 focused deferrals can have consequences, and both
22 political and safety consequences. And I just want to
23 share a broader context to these discussions that I
24 hope you'll consider.

25 There are many ways that we can sort the

1 donor base toward improved safety, and many of these
2 have been considered over time. And what I've tried
3 to do on these next three slides is just summarize the
4 kinds of donor sorts that have been considered in
5 terms of improved safety.

6 We have allogeneic and autologous donors
7 at present. For example, autologous donors, their
8 blood is not allowed to be given to other people.
9 There has been great controversy over the years as to
10 the relative safety of directed donors, and you heard
11 today about the potential increased safety of
12 apheresis donors.

13 Many of these relative safety issues have
14 actually not been recently analyzed carefully. The
15 frequency of donors, the concept that first time
16 donors are higher risk I think is now well established
17 that they're probably two to three fold higher in
18 terms of incidence of the major transfusion
19 transmitted viral infections.

20 In contrast, among repeat donors, there's
21 a kind of old saw that the more frequently a person
22 gives, the safer. In fact, recent analyses from the
23 REDS group has indicated that the more frequent donors
24 are actually no safer than less frequent donors; and
25 further, that actually apheresis donors are no safer

1 than frequent whole blood donors.

2 So some of these theoretical benefits, I
3 think, are not borne out by data. There's good data
4 on regional risk. And for many viruses actually, you
5 can look at the United States and look at different
6 collection regions.

7 The southeast U.S. versus the midwest, for
8 example, dramatically different: 10 to 30 fold
9 different rates of risk incidence. Collections at
10 mobile sites, at high schools, colleges, etc. versus
11 other sites, urban versus rural.

12 There's now good data coming forward that
13 show that there's significant relative safety to
14 donations given in different regions. There's a major
15 focus now on incentives. Should we be paying donors
16 to give more frequently or are there other types of
17 payments such as giving donors time off work?

18 I think Alan Williams' recent data from
19 the REDS survey group shows that actually time off
20 work is a significant predictor of denied risk
21 behavior. So the kinds of characteristics that --
22 donation related.

23 Then we can go on to demographic
24 characteristics and I'll show some -- a little bit of
25 data from this, and I think this was distributed to

1 the committee. But there are dramatic -- significant
2 differences in risk, and particularly the incidence
3 rate of new HIV and other major viral infections
4 distributed by these demographic characteristics.

5 And I think Alan also showed that the
6 British donor deferral would impact differently on
7 different groups. Again, I'll show some specifics on
8 this. But in general, race ethnicity -- there are
9 some highly significant correlates. The more educated
10 donors are, the lower the incidence.

11 There's risk associated with country of
12 birth. And just to recall for you the major outcry
13 that occurred over deferral of Haitian donors, and
14 currently there's still in effect a deferral of sub
15 Saharan African donors.

16 So just the broader context that these
17 geographic-based deferrals have been implemented in
18 the past. Really travel history is what we're focused
19 on now. In the past, there remained deferrals for
20 malaria. There have been intermittent deferrals for
21 travel to HIV risk areas, and now the consideration of
22 British deferrals.

23 Obviously medical history and behavioral
24 history and surrogate tests are other deferral
25 criteria. Just a little bit of data to illustrate

1 some of these points. And we're focused here on
2 incidence. Actually, these numbers would be much more
3 dramatic if we talked about prevalence.

4 Prevalence reflects lifetime accrued
5 exposure to an agent, but the risk of blood is
6 predominantly due to window phase. And therefore,
7 most of our interest in relative risk for established
8 agents for which we screen relates to the frequency of
9 new infections or incidence.

10 And what you can see actually is some
11 examples of how these potential sorts may be
12 beneficial for one agent and actually detrimental for
13 another. For example, for HIV there's a higher, but
14 not significantly higher, incidence in males than
15 females, but there is a highly significantly increased
16 incidence for hepatitis B in males to females.

17 On the other hand, both HCV and HTLV are
18 higher incidence in female donors, probably related to
19 secondary sexual transmission from injection drug use.
20 So, what might seem like a safer group of donors for
21 one virus are, in fact, a higher risk subset for
22 another virus.

23 If you look at age, pretty much across the
24 board there's a age related higher incidence rate in
25 younger donors, but then as donors age, they are less

1 at risk of being exposed to these agents. Now, as
2 you're aware, the older donors tend to be the better,
3 well off donors who can travel.

4 As Alan indicated, a British donor
5 deferral would actually bias towards exclusion of
6 older donors and result in the needed replacement with
7 younger donors.

8 Education is really probably a reflection
9 of socioeconomic status. And again, there is a lower
10 risk of infection with better educated donors pretty
11 much across the board. The one exception is if you
12 focus on high school donors, you need to focus on the
13 younger high school donors who are still high school
14 students versus older individuals who only completed
15 high school.

16 And once you do that sort, you pretty much
17 see a consistent decline across all viruses with the
18 higher the level of education, the lower the risk of
19 infection with these agents. Again, this is an
20 example where the donors who you're seeing indicate a
21 history of prolonged travel to Britain are the better
22 educated donors, so on offset would occur in replacing
23 those donors.

24 Race/ethnicity is actually one of the most
25 startling predictors of incidence. Just one example

1 here, hepatitis B surface antigen with a much higher
2 incidence in black, non-Hispanic and Hispanic donors
3 than in Caucasian donors.

4 - Obviously many of these deferrals are not
5 either practical due to the need to have an adequate
6 blood supply, or ethically or socially acceptable.
7 There's been discussion about exclusion for
8 transfusion. And in fact, in France they've recently
9 implemented exclusion of previously transfused
10 patients from giving blood.

11 In fact, if you look at prevalence, the
12 prevalence of all these viruses is higher in
13 previously transfused patients, but that's because
14 their risk of acquiring these infections from
15 transfusion predated the introduction of screening.

16 So now that we're screening the blood
17 supply, this slide just shows from REDS again that the
18 rate of new infections is no different in transfused
19 and non-transfused people. So an exclusion based on
20 history of transfusion will have no beneficial effect
21 with respect to current agents for which we're
22 screening.

23 If there's an agent that may have been
24 transfused in the past, theoretically there could be
25 a benefit of excluding those donors. But one must be

1 aware that about seven to eight percent of all blood
2 donors have been transfused in the past.

3 So an exclusion of transfused donors,
4 somewhat like British donors, would have an incredible
5 impact on blood availability with really, I think, a
6 negligible and non-quantifiable benefit in terms of
7 safety.

8 I included in the distribution a
9 manuscript that we published a few years ago which
10 actually focused on what was at the time a major
11 controversy. The age deferral issue came up because
12 donors, particularly whole blood sector donors, were
13 later developing classical CJD.

14 Those reports were coming to FDA, and FDA
15 was taking the position that these products needed to
16 be recalled and/or not distributed, and it was having
17 a huge impact on the availability and financial issues
18 around blood banking.

19 So what it led to was a sort of knee jerk
20 reaction, well let's just exclude older donors because
21 most of these CJD cases are occurring in older donors.
22 And what we were able to show in this paper and pretty
23 much undermine that policy was that actually the
24 exclusion of the older donors would result in an
25 increased risk; that donors over 50 had a two to

1 tenfold higher incidence, higher risk than younger
2 donors.

3 And that, as a consequence, if one were to
4 ~~ex~~clude all donors either under 50 or under 60, you
5 would increase the risk of the blood supply for these
6 known transmissible agents by ten to 20 percent. And
7 I think this was a significant factor in the decision
8 by the blood organizations to not implement this
9 policy and by FDA to eventually reverse that recall
10 policy.

11 Now, the last point I want to make is that
12 -- is alluding to the impact on donors. And I think
13 until very recently, we've not had data to quantify
14 what notifications to donors that they're deferred
15 indefinitely or permanently on the grounds of non-
16 specific test results or deferral policies has on
17 these individuals.

18 And recently, the REDS group conducted a
19 survey called the REDS Donor Notification Survey where
20 about 4,000 donors who had been deferred due to test
21 results, various ALT, anti-CORE, false/positive
22 results for various markers were surveyed and asked
23 about the impact of these notifications -- the
24 effectiveness of the notification message and the
25 impact.

1 And just a few selected results, I think,
2 illustrate that a large proportion of these donors who
3 were being given data that we think is pretty
4 definitive -- we're convinced these donors are not
5 infected.

6 We've done extensive testing and further
7 testing, and many of these donors are brought up for
8 follow up, additional testing. And they're basically
9 being given a message that you're not infected with
10 this virus, but unfortunately you had some results
11 that are leading us to have to permanently defer you.

12 And what you can see here is that about 80
13 percent of these donors, equally split between a lot
14 and a little, indicate confusion when they're
15 initially notified of these results. And the survey
16 actually was conducted in general about five, seven
17 years after the notifications.

18 And you can see that many of these donors
19 remain confused years later. Again, there's -- about
20 50 percent of these donors are indicating they're
21 still confused about the meaning of those original
22 notification results, although most of them now are a
23 little less confused over time.

24 They also indicate a high level of anxiety
25 with about 40 to 50 percent of these donors indicating

1 that they were very, very emotionally upset when they
2 were told of these results, and another 40 to 50
3 percent -- 40 percent or so indicating they were
4 somewhat upset.

5 As with the earlier data, when you ask
6 these donors are they still emotionally upset, this
7 number drops to about half of that level. But many of
8 these donors remain concerned and upset and confused
9 about the meaning of these permanent deferral messages
10 in the absence of any mechanism to reinstate them.

11 And finally, many of these donors, even
12 though again our message was one of reassurance, have
13 subsequently sought doctors' advice on what to do
14 about this. And unfortunately, in the case of new
15 variant CJD, I don't think we'll be able to give
16 doctors much advice other than trying to reassure
17 these donors.

18 Coincidentally, I just received a couple
19 letters that I distributed to the committee during the
20 break that are actually from donors that just wrote to
21 my CEO just in the last day.

22 And I'd ask you to glance at those letters
23 because I think they really point out the intense, you
24 know, emotional experience that individuals go through
25 when they are told they can no longer give blood, many

1 of them after having, you know, became dedicated
2 donors and feeling that a good, you know, meaningful
3 component of their lives had been giving blood.

4 - And the impact of these false
5 notifications on these donors and the failure of a
6 mechanism to allow these donors to be reinstated and
7 appropriately reassured that their own health and that
8 of their families is not at risk I think is an
9 important consideration as you consider a policy that
10 would impact a very large number of individuals.

11 Thank you.

12 CHAIRMAN BROWN: Thank you, Dr. Busch.

13 I have a question or two for you before
14 you leave. I would imagine that if a statement were
15 crafted that was a little less blunt, it might take
16 some of the emotional backlash out of this.

17 In other words, instead of sending a note
18 saying "sorry about that, but you're permanently
19 deferred, you'll never be able to give blood again" --
20 which is unrealistic in the present context. If it
21 were decided to exclude a proportion of British
22 donors, one could send a note saying "you are
23 temporarily excluded from giving blood for the
24 following reason," and put a little paragraph in there
25 why the position was taken.

1 It's not complicated, complicated. Until
2 such time as we know that this doesn't pose a risk,
3 then we will exclude you, but we will not exclude you
4 permanently. The same thing, I am sure, is going to
5 happen with the screening questions that currently
6 exclude recipients of growth hormone and dura mater
7 recipients.

8 These are not going to be permanent
9 categories of exclusion. That's the first point.

10 And the second is that -- did I understand
11 you correctly at the beginning of your speech to say
12 that the data indicates that there is no difference in
13 the risk of having any of these other transfusion
14 related agents between professional donors, volunteer
15 donors, apheresis donors, first time donors and
16 multiple repeat donors?

17 Did I understand that correctly or did I
18 miss a beat?

19 DR. BUSCH: Why don't I do the second one
20 first. Yeah, no, there is a quantifiable, increased
21 risk among first time compared to repeat donors. But
22 within the repeat, volunteer donor sector -- so these
23 are the volunteer donors -- although classically
24 people always felt that the more frequently you give,
25 the safer you are and that apheresis donors who are

1 giving weekly, this kind of special, more committee
2 donation program, are safer than whole blood donors,
3 as we've begun to do analyses in the REDS group with
4 huge databases to try to quantify and validate that,
5 we've been unable to validate that.

6 There does not appear to be an increasing
7 safety margin as donors give more frequently. This is
8 all data from the volunteer donor sector.

9 CHAIRMAN BROWN: So, in other words, if
10 you've given twice, beyond that it's a plateau?

11 DR. BUSCH: That's correct, --

12 CHAIRMAN BROWN: Okay.

13 DR. BUSCH: -- that's what our data
14 indicates.

15 In terms of the first issue, you know, the
16 concern -- from a blood bank operational perspective,
17 that's pretty much what we used to do. We used to
18 tell donors you're, you know, temporarily deferred;
19 that there's a potential that we'll be able to
20 reinstate you down the road.

21 What that results in is donors frequently
22 calling back and saying "what's happened, where do I
23 stand with this." Eventually, you know, the FDA has
24 in the past come forward with reinstatement programs
25 that allow for donors to go through follow up testing

1 a year later, for example, that allows them to be
2 reinstated.

3 In fact, those programs pretty much
4 universally across the country are not
5 operationalized, one, because they're frequently
6 reversed as new tests come in and new questions arise.
7 They're quite onerous in terms of the required
8 testing.

9 But in addition, they're a regulatory
10 catastrophe. Because if, by chance, eventually a
11 donor who was reinstated gets implicated in another
12 problem, immediately, you know, the FDA comes into
13 your office and the first thing they look for is
14 where's your donor reinstatement records.

15 And they want to go through those records
16 and verify that those donors were completely, properly
17 reinstated. So, for a variety of reasons, the truth
18 is that donor reinstatement does not occur in this
19 country, with very rare exceptions.

20 And this is even for agents for which
21 there are FDA approved reinstatement programs. So for
22 these reasons, practically at this point -- and, you
23 know, what's the difference between an indefinite
24 deferral, a temporary deferral?

25 These are very subtle and often non-