

1 of view, do you believe that the action criteria categories
2 and major contaminant groups in those categories constitute
3 a reasonable framework for prioritizing, in the order listed
4 below, the regulation of filth and extraneous materials?

5 And I won't read those lists.

6 Let's start again with Dr. Applebaum.

7 DR. APPLEBAUM: In a short answer, Mr. Chairman?

8 DR. BENEDICT: Yes.

9 DR. APPLEBAUM: Yes.

10 DR. BENEDICT: Thank you.

11 Dr. Brackett?

12 DR. BRACKETT: Yes.

13 DR. BENEDICT: Ms. Richardson?

14 MS. RICHARDSON: Yes. I'll reserve my comments

15 until later.

16 DR. BENEDICT: We'll be happy to hear them.

17 Dr. Russell?

18 DR. RUSSELL: Yes.

19 DR. BENEDICT: Dr. Montville?

20 DR. MONTVILLE: Yes.

21 DR. BENEDICT: Dr. Sigman-Grant?

22 DR. SIGMAN-GRANT: Yes.

23 DR. BENEDICT: Dr. Hotchkiss?

24 DR. HOTCHKISS: Yes.

25 DR. BENEDICT: Dr. Kuzminski?

1 DR. KUZMINSKI: Yes.

2 DR. BENEDICT: Thank you. And the time now for
3 pertinent comments is open, and Ms. Richardson would like to
4 make the first one.

5 MS. RICHARDSON: Well, having seen the slide show,
6 I will never eat anything again. And I guess being the
7 consumer rep, I think that would be my concern, is that
8 there doesn't seem to be the audience that there is for Ma
9 Wong and other things here.

10 But if they hear that, you know, they've got this
11 new regulatory program that is going to, as it's outlined in
12 the executive summary, increase the protection from genuine
13 food-borne hazards, and also I guess it means to increase
14 the responsiveness, to express concerns of the consumers
15 about health hazards and repugnant filth, with consumers, if
16 they find a fragment of an insect, they don't see that as a
17 harmless contaminant and they don't think in the terms of
18 defect action level. To them that is repugnant filth, that
19 there is a fragment.

20 And it's something that I say all the time, is
21 what is going to be done with regards to consumer education
22 so that they can understand how the agency made the
23 priorities and what constitutes a real health hazard and
24 repugnant filth versus these defect action levels?

25 And I guess being from Maryland, I think, you

1 know, the recent reports that the seafood industry in
2 Maryland has been affected by the pfisteria scare of last
3 year, notwithstanding the reassurances that the environment
4 is much more protective of them, and so, you know, what is
5 going to be done to tell the consumers what you are doing
6 and why?

7 DR. BENEDICT: Would anyone else like--yes, Dr.
8 Sigman-Grant?

9 DR. SIGMAN-GRANT: I'd just like to reiterate the
10 consumer perspective that Ms. Richardson suggested. Also,
11 the term "priority" I think from the consumer perspective
12 might be, "Well, now you're making this a lower priority.
13 Will less activity be focused on that issue?" If money
14 becomes a constraint, will less focus on the aesthetic,
15 which to the consumer in their mind is just as important as
16 the health hazard, if it's their food that's being affected.

17 DR. BENEDICT: All right. Mr. Harris, yes,
18 please.

19 MR. HARRIS: Now I do have some comments. I think
20 we've arrived at a point where we need to recognize that
21 many of the defect action levels were not scientifically
22 arrived at. I know that because I'd say maybe almost half
23 of them are mine, and they were arrived at by a series of
24 telephone calls around the agency between myself or Bill
25 Eisenberg and a fellow named Kenneth Kirk, and I think we

1 need to recognize that these people are going to--the micro
2 group are going to need some funds to get a lot of this work
3 on a good, sound scientific basis.

4 Thank you.

5 DR. BENEDICT: Thank you. So this ends this
6 portion of the discussion, and we're going to break for
7 lunch, but before we do, I want to thank both of our
8 presenters for very clear, very educational presentations,
9 and I know that they helped my understanding of the topic,
10 and I can see they helped everyone else, as well.

11 There will be a, for lack of a better term--I'm
12 making this one up--a get-acquainted lunch for the Food
13 Advisory Committee members in the break room, so that you
14 can begin to bond. And that will begin probably as soon as
15 you can wander down there. We will reconvene promptly at
16 1:15. The meeting will begin with you or without you.
17 Thank you very much.

18 [Whereupon, at 11:45 a.m., the committee recessed,
19 to reconvene at 1:15 p.m. the same day.]

1 The focus of the discussion is establishment of an
2 action level for patulin in apple juice and apple juice
3 containing products.

4 The charge, you're being asked to evaluate the
5 adequacy of the science supporting the establishment of an
6 action level for patulin in apple juice and apple juice
7 containing products.

8 And the questions that you'll be asked are in your
9 documents: if the available scientific data support the
10 establishment of an action level for patulin; and if so,
11 based on your knowledge and expertise and the data that have
12 been presented, would 50 ppb be sufficient to protect public
13 health.

14 So we'll hear from our speakers, have our
15 discussions, and then address those questions. So then
16 let's begin with Dr. Michael Kashtock, Chief, Regulations
17 and Enforcement Branch, Office of Plant and Dietary Foods
18 and Beverages, CFSAN, who will give us our introduction.

19 There should be something there. There is a
20 microphone, is there not, a traveling one?

21 DR. KASHTOCK: Good afternoon. I am Dr. Michael
22 Kashtock, and I will provide the introduction.

23 One of the major areas of focus of FDA's
24 regulatory program for foods is the area of contaminants.
25 Contaminants can include heavy metals, such as lead and

1 arsenic; synthetic organic chemicals, such as PCBs; and
2 mycotoxins, such as aflatoxin.

3 In some cases the origin of a contaminant in food
4 can be traced back to past industrial or agricultural
5 activities and the resulting effect of these activities on
6 the environment in which food is grown. However, in the
7 case of mycotoxins, including the subject of today's
8 meeting, patulin, the origin of the contaminant is molds,
9 which are intimately associated with foods such as grain and
10 fruit in the natural environment in which foods are grown.
11 Mycotoxins are produced by molds that can occur on foods in
12 nature.

13 While the occurrence of molds such as *Aspergillus*,
14 *Fuserium* and *Penicillium* cannot be avoided on certain foods,
15 mycotoxin levels in such foods can be controlled by avoiding
16 the use of foodstuffs that can be associated with
17 significant mold growth. Avoidance of the use of spoiled or
18 damaged apples can provide substantial control of patulin
19 levels in apple juice.

20 As was just stated, the focus of today's
21 discussion is the establishment of an action level for the
22 mycotoxin patulin in apple juice and apple juice containing
23 products. Action levels are a form of FDA guidance which
24 state levels of a contaminant in a food at which FDA
25 believes that, based upon the science, FDA could support

1 regulatory action against the food containing the
2 contaminant to protect the public health.

3 An action level, if established for patulin, would
4 be the second FDA action level addressing a mycotoxin in
5 food. Action levels for aflatoxin in food and animal feed
6 were originally established in the late 1960s.

7 Not all mycotoxins of which we are aware are the
8 subject of action levels, because some are not likely to
9 occur in foods at levels that would cause a public health
10 concern. However, when there is a potential for a
11 mycotoxin's presence in food to raise concerns, FDA
12 considers establishing an action level to provide for public
13 health protection and to give the food industry guidance to
14 use in designing and implementing appropriate controls, if
15 not already in place, to ensure the safety of the food
16 produced.

17 We expect that action levels for mycotoxins in
18 food--excuse me--we expect that levels of mycotoxins in
19 foods will not exceed action levels. As part of our
20 compliance program for contaminants in foods, we monitor the
21 food supply for levels of mycotoxins, and we are prepared to
22 take enforcement action to protect the public health.

23 We intend to establish an action level for patulin
24 in apple juice and products that contain apple juice
25 because, as you will see, data have shown that levels of

1 patulin which we consider to be of concern have occurred in
2 these products. When FDA has considered establishing action
3 levels for contaminants, regardless of the type or origin of
4 the contaminant, we have frequently utilized the safety
5 assessment approach in which the following considerations
6 are taken into account:

7 Number one, we assess the risk posed by the
8 contaminant.

9 Number two, we establish the level of consumption
10 of the foods in which the contaminant could occur.

11 Number three, based upon consumption, we estimate
12 exposure to the contaminant.

13 Number four, given the risk posed by the
14 contaminant and the exposure to the contaminant, we
15 establish a level of the contaminant in the subject food
16 which, if not exceeded, would ensure that the food is safe.
17 I'll simplistically refer to this as a desirable maximum
18 contaminant level, and this is not always one level. It can
19 be levels, depending upon the degree of conservatism taken
20 in the safety assessment approach--a strict conservative
21 approach, a less conservative approach--so we don't always
22 come up with one level.

23 And then, number five, the achievability of the
24 desired MCL. We then ask, is control of the contaminant not
25 to exceed the desirable level achievable under the

1 conditions in which foods are grown and produced?

2 If the answer to the last question is yes, that
3 the desirable MCL is achievable, we have the best possible
4 outcome. We are not limited in our ability to provide the
5 desirable level of public health protection by
6 unavailability concerns regarding the presence of the
7 contaminant in or on foods.

8 The succeeding presenters will take you through
9 our analysis for patulin as it touches upon each of these
10 considerations, and we will lay out for this committee why
11 we have determined that the 50 ppb level--that the 50 ppb
12 action level we are asking you to consider is protective of
13 the public health and is achievable.

14 Following my presentation, you will hear from Dr.
15 Vincent Zenger who, as stated, will give you an overview.
16 Dr. Michael Bolger will discuss the toxicology of patulin;
17 Dr. Zenger again will discuss exposure; and, finally, Dr.
18 Terry Troxell will discuss the rationale for the 50 ppb
19 action level and provide a summarization.

20 That is the introduction. I thank you for your
21 attention, and I'm going to make an appointment with the eye
22 doctor.

23 DR. BENEDICT: Okay. We'll now hear from Dr.
24 Vincent Zenger, Division of Product Policy, Office of Pre-
25 Market Approval, CFSAN. He will give us the overview of

1 patulin.

2 DR. ZENGER: Thank you. My purpose here this
3 morning is to give you just the briefest overview of what
4 patulin is. Next slide, please.

5 Patulin is a small molecular weight secondary
6 metabolite of fungi. You can see here its structure. I'll
7 just take the laser pointer here. Just one notable feature
8 here is the lactone structure of this particular metabolite,
9 which makes it susceptible to certain chemical reactions.
10 One which I'll mention is its reaction with cystine. And
11 cysteine, the sulfur residue here would be attached here at
12 this four position, and a hydrogen molecule would be added
13 or a hydrogen atom would be added up here. So that's the
14 reaction that I'll talk about in just a second. Go ahead,
15 next slide.

16 Just a brief history of patulin. Patulin is
17 really not that well studied. It was first isolated in 1944
18 from *Penicillium claviforme*, and was named clavacin at that
19 point. In 1943 it was also found in *Penicillium patulum* and
20 sort of renamed. In 1949 the chemical structure was
21 elucidated, and in 1952 and subsequently it was tested
22 briefly as an antibacterial, antibiotic product, but that
23 was given up for toxicological reasons. And just as a time
24 point, I put in 1981, which was when sort of the study that
25 we're using mostly to rely on to establish the safety levels

1 and so forth was done, in 1981. Next slide.

2 This is a list of a few of the fungi which are
3 known to produce patulin. Most of these here are
4 Penicillium. If you go on to the next slide, it's also
5 shown to be produced by certain other species, Aspergillus,
6 notably, and a couple which I hesitate to try to name. Go
7 ahead, next slide.

8 Some of the chemical characteristics of patulin,
9 it's soluble in a number of solvents, including water,
10 ethanol, acetone, amyl acetate. It's known to be stable in
11 acid solutions but unstable in alkaline solutions, and in
12 alkaline solutions it's been shown to lose its biological
13 activity. It's also reported to be destroyed in fermenting
14 of various products which contain patulin, like apple juice,
15 for instance. It's only moderately destroyed by thermal
16 processing. And, as I mentioned before, it also reacts with
17 sulfhydryl groups and possibly, although it hasn't been
18 strictly shown, with some amino acid groups of proteins.
19 Next slide.

20 Patulin has been found on a number of different
21 kinds of fruit, apples, peaches, pears, bananas, grapes and
22 melons; also on various vegetables, peppers, cucumbers,
23 carrots; and also on animal feedstuffs; but has not been
24 reported as being found on anything that didn't have rotten
25 components or the actual fungi. Go ahead, next slide.

1 For interest, I did list here from the literature
2 reported patulin levels that were in the literature from
3 various studies. As you can see, they range from zero up to
4 45,000 micrograms per kilogram, which is pretty high.

5 And even in the recent studies, here was one done
6 in 1988, you can see patulin levels here ranging from 7 to
7 376 micrograms, and I'll mention in that study that of the
8 200 samples, about 50 percent were above 50 micrograms per
9 kilogram contamination level. So even in this day and age,
10 we're still seeing high contamination levels in apple juice,
11 and a lot of this variation probably has to do with the way
12 the apple juice was produced and the techniques that were
13 used in production. Next slide.

14 One of the reasons why we're not considering other
15 products at this time is that problems in other products
16 haven't been demonstrated. And one way you can look at
17 this, this is solid apple-based products. This is years.
18 This information was submitted as part of a letter to WHO on
19 what position should be taken on patulin. But if you look
20 here at the sample results, very few if any of the products
21 that resulted from apple solids were found to contain
22 patulin at greater than 50 micrograms per kilogram.

23 So if you can imagine apple juice being
24 contaminated, you would likely suspect that apple products
25 themselves might be contaminated, but that isn't the case.

1 Now, there are other reasons why you might suspect other
2 things, like peaches and so forth, if consumed as a solid,
3 wouldn't be a source of patulin, and those have to do with
4 organoleptic reasons and stuff. You wouldn't eat a rotten
5 piece of fruit. So our concern at this point is strictly
6 with apple juice and apple juice containing products.

7 There also have been a small number of studies
8 looking for patulin in other products like apple juice. In
9 several small studies of very small numbers of samples,
10 patulin hasn't been reported above the detection level of
11 the study. And, again, that probably goes back to the
12 stability of patulin in various types of products, its
13 reaction with sulfhydryl groups and so forth.

14 So there seems to be, if it was contaminated, a
15 factor that reduces patulin in those products. And I will
16 mention that the reaction product with cysteine, patulin
17 with cysteine has very decreased toxicological properties
18 compared with the patulin product itself, although the
19 metabolites of patulin in human or animal studies haven't
20 been strictly studied. Next slide.

21 And I just wanted to make a quick mention of
22 analysis for patulin. There are a number of analytical
23 procedures available to analyze patulin. This is one that's
24 recently been developed with the help of FDA. It's become
25 an AOAC method. Basically, patulin is extracted in ethyl

1 acetate and cleaned up with extraction of sodium carbonate,
2 then spin-dried, and then it's run on a reversed-phase
3 liquid chromatography column, and this whole procedure takes
4 approximately a day, depending on how many samples you have.

5 So a method of analysis is available. In fact,
6 there are quite a few methods. This one has a detection
7 limit of approximately 5 ppb. And so these methods are
8 available for those that want to analyze for patulin.

9 So that concludes my brief overview of the patulin
10 molecule.

11 DR. BENEDICT: Thank you, Dr. Zenger.

12 We're going to hear from Dr. Michael Bolger. Dr.
13 Bolger, if you need to read something, we can get some
14 light, or you could remain seated if you like, whatever is
15 comfortable for you. Make your choice.

16 Dr. Bolger is Chief, Contaminant Standards
17 Monitoring and Program Branch, OPDFB, CFSAN. He will be
18 describing the toxicology for us.

19 DR. BOLGER: While he's getting ready, I want to
20 over the next 20 minutes just give you a very brief overview
21 of what we know about the toxicology of patulin. I'm only
22 going to highlight significant end points that have been
23 assessed in various animal bioassays and significant
24 studies. I don't have the time, and I don't think you would
25 want me to go through an hour lecture on this. And

1 everything I will cover here is in the package that you
2 received as part of the narrative presentation on the
3 toxicology of patulin.

4 Let me just have the first slide. I want to
5 acknowledge the invaluable contributions of Dr. Sara Henry,
6 who was of great assistance in helping me put this package
7 together. In fact, Sara would have been the one making this
8 presentation, not me, but she was committed to attend and
9 make a presentation at a conference this week, so she could
10 not be here. Next slide, please.

11 And you've already seen the structure of patulin,
12 so we don't need to go over that again. What I would like
13 to do is briefly go over, starting with--in terms of what we
14 know about acute exposure in rodents. I believe in your
15 package it says that the data on this indicates moderate
16 toxicity. I think that's something of a slight
17 understatement. I think there's a range that varies
18 anywhere from moderate to high toxicity.

19 Remember, LD50 studies are rather imprecise
20 measures of acute toxicity, because again we're assessing
21 lethality here. But in some tests they have been actually
22 determined to be in what we would deem to be a highly toxic
23 range for LD50s.

24 In terms of short-term and subchronic exposure
25 studies, I'm going to focus on immunotoxicity studies that

1 have been done, on the mutagenesis studies that have been
2 done, on the teratogenic studies that have been done, and
3 then I will finish up with an overview of several key
4 studies that have been done in terms of chronic exposure and
5 touch upon the issue of carcinogenic potential of patulin.
6 Next slide.

7 In terms of studies done to assess immune
8 function, effects are mixed, with some immunological
9 response measures reduced and others stimulated. Well, this
10 is not surprising. I think you can say that probably for
11 many, many things where you get sort of a mixed bag in terms
12 of sometimes, depending on the species you're assessing,
13 depending on the end point you're measuring, whether you're
14 looking at cell or humoral immunity, sometimes the studies
15 don't always give you a consistent picture.

16 I think the most important thing, thought, to keep
17 in mind, and I'll get to this at the very end of this, is
18 that you really have to--I think the most important thing to
19 keep in mind is the dose levels that have been used in these
20 studies and how they relate to what we know in terms of
21 human exposure levels.

22 So in a study in 1986 by Sorenson, macrophage
23 phagocytic function was impaired. In a study by Escoula in
24 1988, response to several immunological challenges, to
25 phytohemagglutinin and concanavalin A, were decreased, and

1 serum immunoglobulin IgA and IgM levels were lowered, and
2 lymphocyte counts were decreased. Next slide.

3 In this study they also noticed an increased
4 neutrophile count occurred in mice, increasing resistance to
5 Candida albicans infection. Now in a study by Becci which I
6 will get to later on, which is really the key study in terms
7 of identifying the study, in terms of describing a safe
8 level of exposure, in this chronic study they noted
9 lymphocytopenia and neutrophilia was assessed and no effects
10 were noted.

11 Now I think the most important study here is the
12 very recent study from last year, by Llewellyn in 1998, last
13 year, in which female B6C3F1 mice were assessed at six
14 different dose levels, and these dose levels were much lower
15 than the dose levels used in the previous studies, and are
16 dose levels much more consistent with what we know about
17 human exposure levels. And in a variety of end points and
18 assessment techniques, they were not able to detect any
19 ability of patulin to affect cell-mediated or humoral immune
20 responses. Next slide, please.

21 Now in terms of mutagenic effects, again, when you
22 look at the body of information, and I would like to note
23 that there is a fairly robust data set in terms of the
24 number of different studies that have been used, end points,
25 both in terms of bacterial, mammalian systems, both in vitro

1 and in vivo, and something like the immunological area you
2 sort of get a mixed message, a mixed picture here, where
3 overall you get sort of an impression that there is an
4 equivocal presentation of results.

5 But I think you really have to sort of step back
6 and look at what is it in terms of the bacterial systems
7 versus the mammalian systems. And then when you look at
8 that, it's--you get the overall, general impression that in
9 terms of bacterial systems the responses are generally
10 negative. In mammalian systems they tend to be somewhat on
11 the positive side.

12 So in Salmonella assay systems, you know, the well
13 known Ames assay, both in vitro and in vivo, no mutagenic
14 activity was noted in a variety of studies. Chromosomal
15 effects, either damage or an increase in sister chromatid
16 exchange, have been recorded in mammalian cells in culture.
17 In a hamster bone marrow cell test system, chromosomal
18 breakage was demonstrated.

19 Now, overall there is no convincing evidence of a
20 germ cell mutagenic potential in three studies in rodents.
21 But as I said, when you look at overall potential, it's
22 somewhat equivocal but it really depends on whether you're
23 looking at bacterial or mammalian test systems. Next slide,
24 please.

25 In terms of teratogenic effects, patulin has been

1 assayed in the NMRI mouse assay system using five different
2 dose levels, I.P. or per oral, and this is a study in 1990
3 by Roll in which they found an elevated level of cleft
4 palate.

5 In a study by Dailey in 1977 in the Sprague-Dawley
6 rat, at one dose level this showed an increase in
7 resorptions in the F1 litters but not in the F2 generation,
8 and the average weight of male fetuses in the F2 generation
9 was significantly less than controls. You will note that
10 when I talk about the Becci study later on, the significant
11 decrease in body weight in the males was a very telling
12 effect in terms of patulin exposure.

13 In a study in Charles River CD1 rats, at two dose
14 levels there was a significant decrease in the average fetal
15 body weight at the lower dose, and all implanted embryos
16 were absorbed at the highest doses. This is a study by
17 Reddy in 1978.

18 Now the two key chronic studies are the study by
19 Osswald and Becci, and Osswald's study was published in 1978
20 in the SPF Sprague-Dawley rat, where the animals were
21 gavaged twice weekly. There was a single dose level used
22 for four weeks at 1 mg per kg, and then the dosage was
23 increased to 2.5 mg per kg of body weight for the following
24 70 weeks. The total dose was 358 mg per kg of body weight.
25 And no effects were noted on weight gain or survival, and

1 there was no significant difference in tumor incidence, and
2 I'll come back to this tumor incidence issue in a few
3 minutes. Next slide.

4 Now the Becci study of 1981 was a study that was
5 done with the FDRL Wistar rat, both sexes, using three dose
6 levels of .1, .5, and 1.5 mg per kg of body weight per day
7 of patulin, three times a week for 24 months. So I just
8 want to point this out, this was not a daily exposure, this
9 was three times a week. So the corresponding weekly doses
10 would be .3, 1.5, 4.5 mg per kg of body weight per week.

11 Now the male body weights were reduced in both the
12 mid- and high-dose levels. The female body weights were
13 comparable in all dose groups, so there was a differential
14 effect in terms of effects on the sexes here. There was no
15 difference in tumor incidence that was observed. Again, I
16 will come back to this in a few minutes. But there was a
17 substantial mortality in both sexes at the high dose groups,
18 and I will show this in the following two slides.

19 The first slide is the mortality data from the
20 Becci study for the male rats, and you can see that for the
21 high-dose group, which is these solid boxes, there is a
22 rather substantial increase in mortality occurring early on.
23 This is time in months, and this is percent survival, so you
24 can see in terms of this first time point evaluation, which
25 I believe is about three months, there was a significant

1 occurrence of mortality in the high-dose group.

2 The other dose groups did not show this increased
3 mortality until later on in the study, but as you can see,
4 halfway through the study these other--the two lower dose
5 groups, the low and mid-dose groups also noted a significant
6 increase in mortality as compared to the controls. Next
7 slide, please.

8 This is the mortality data for the females. There
9 is a difference here, now, in terms of what was happening in
10 terms of the males. Again, I'll go back to some of the
11 observations I noted in some of the other, particularly like
12 the teratology studies, where there was a sex difference in
13 the effect. At this early time point there was not a
14 demonstrable difference particularly in the high-dose group,
15 but as the study went along, you started to see an increase
16 in mortality in the high-dose females.

17 The low-dose females, again, they did not show the
18 same increase in mortality until later on in the study. But
19 again, as in the latter half of this study, they too were
20 showing a significant increase in mortality from the control
21 groups, which are the open circles.

22 Now in terms of the carcinogenic potential of
23 patulin, the Becci study was actually a study that was
24 designed to address not only the chronic toxicity of patulin
25 but also the carcinogenic potential. But because of the

1 significant mortality that occurred, and the clear--and we
2 clearly saw that the maximum tolerated dose had been
3 exceeded, and the fact that you saw a substantial effect on
4 body weights early on in the study, it really did limit the
5 ability of that study to answer the particular question
6 about the carcinogenic potential of patulin.

7 The Osswald study is also limited because it was
8 only a single dose study, and I think when you compare how
9 we look at bioassays, long-term bioassays today, it really
10 does fall short of the mark in terms of how we do bioassays.

11 So in 1986 the Interagency Agency for Registry on
12 Cancer concluded that there is inadequate evidence for the
13 carcinogenicity of patulin in experimental animals. No
14 evaluation could be made of the carcinogenicity of patulin
15 in humans. And that is because even today we have--there
16 are no studies that have been done to look at this
17 particular end point in any human population.

18 I would have to add, too, that--can we go to the
19 next slide--that the Joint Expert Committee on Food
20 Additives of the World Health Organization reached a similar
21 conclusion in their most recent evaluation of 1996, and in
22 fact in 1990 in their previous evaluation of patulin had
23 asked that a study be done because they felt, in looking at
24 these two studies, they were just simply not adequate to
25 answer their questions.

1 Now in terms of mechanism of toxicity, and some of
2 this Mitch has already touched upon, but I want to briefly
3 go over it because I think it's kind of key in terms of how
4 one looks at these observations noted in terms of immuno
5 effects, teratogenic effects. As Mitch said, patulin
6 probably involves binding the sulfhydryl groups--it has a
7 real affinity for them--and to a lesser extent amino groups
8 of amino acids and proteins in the plasma membrane and
9 cytoplasm.

10 And as a result, several enzymes, probably many
11 enzymes, have the potential to be inhibited. We know, for
12 instance, that cellular glutathione is bound by patulin.
13 There's a--studies have shown that the flow of ions,
14 particularly sodium, potassium and calcium, across the
15 plasma membrane is severely compromised. Cellular
16 respiration is decreased, perhaps by disruption of the
17 mitochondrial function, probably involving some of these
18 mechanisms. Next slide.

19 The chronology of cellular injury caused by
20 patulin, there is a simultaneous expression of gap junction
21 mediated intercellular communication and glutathione
22 depletion, followed by reactive oxygen species generation,
23 followed by mitochondrial membrane depolarization, which I
24 just touched upon, and simultaneous increase in calcium,
25 cytoplasmic acidification, and depolarization in the plasma

1 membrane. The type of damage you see in individual cell
2 types really will influence the pathological manifestations
3 you see.

4 So, as an overall summary in terms of the LD50
5 studies--and again, I've just picked out a few of the
6 studies, there are any number of studies that one could
7 include in a rather extensive list--in terms of the dose
8 levels that have been identified as LD50s, one would deem
9 these to be in the highly to moderately toxic range.
10 Escoula noted immune suppression effects in using dose
11 levels in the mg per kg of body weight range. In a very
12 recent study by Llewellyn using a broader range of doses and
13 much lower dose ranges, no immune effects were noted.

14 In terms of the teratogenic potential, cleft
15 palate was noted in a study by Roll in 1990. Next slide. I
16 already touched upon the Osswald study, where no neoplasms
17 were noted, but again I pointed out the shortcomings in
18 terms of that conclusion.

19 In terms of the Becci study, which, when one looks
20 through the entire database available on patulin, this is
21 the study that has the effects that are most prominent where
22 there is an observable "no observed effect" level at the
23 lowest dose level. One can describe a "no observed effect"
24 level in terms of looking at teratogenic, immunological
25 studies, but they are at higher dose levels.

1 So if one is going to be describing a bright line,
2 safe level of exposure, the Becci study is the study that
3 one would focus on, and in fact that's what the Joint Expert
4 Committee on Food Additives concluded, that in terms of
5 describing a safe level of exposure, the "no observed
6 effect" level was--and actually this is backwards. I just
7 realized that these dose levels are backwards. Reverse
8 this. The increased mortality is 1.5, decreased body weight
9 was .5, so that's all right, and "no observed effect" level
10 was 0.5 mg per kg of body weight. Next slide.

11 So in terms of the description of the safe level,
12 or what we would call in terms of a contaminant, the term
13 that's used, the tolerable daily intake figure, the
14 provisional maximum tolerable daily intake that was
15 determined was 0.43 micrograms per kg of body weight per
16 day, or 3.01 micrograms per kg of body weight per week.

17 This is based on the "no observed effect" level at
18 the lowest dose level, where we had an ingested dose of 43
19 micrograms per kg of body weight per day. Remember that the
20 lowest dose level was administered, over seven days, only
21 three times during the seven-day period, so we adjusted it
22 to a daily dose level. And this, the derivation of the
23 provision maximum tolerable daily intake, involves the use
24 of two 10-fold safety or uncertainty factors, one 10-fold
25 factor to account for interspecies extrapolation, one 10-

1 fold factor to account for intraspecies sensitivity.

2 And I believe that's it, and that concludes my
3 presentation.

4 DR. BENEDICT: Thank you. Well ahead of schedule,
5 we'll now hear from Dr. Zenger on exposure levels.

6 DR. ZENGER: Thank you, Dr. Bolger.

7 As part of our safety assessment, we estimated the
8 exposure that one might get to patulin. In estimating our
9 exposure, we used a Monte Carlo method for calculating the
10 exposure. This Monte Carlo method needs two key pieces of
11 information: patulin levels in food, and also the estimated
12 food intake, in this case estimated food intake to apple
13 juice. Next.

14 Several key features of the Monte Carlo method are
15 that it allows for the calculation of exposures at more than
16 one intake level, and it allows for calculations based on
17 intake of more than one food. Next.

18 And some assumptions made in the Monte Carlo
19 analysis are that food intakes are defined by a distribution
20 of values, not just the mean food intake value; that the
21 frequencies in occasions of eating are random; and that the
22 food selections themselves are also random, so the various
23 foods that are being analyzed, one could hypothesize that a
24 person might take, you know, more than one of each food type
25 per day. Next.

1 Just as an example of how this works, what you
2 would do as part of the calculation, you would take the food
3 you were interested in from this distribution of food intake
4 estimates. The program picks a point based on this
5 likelihood distribution here. It goes over to the, in this
6 case the contamination level for that food, and picks again
7 from this probability distribution a contamination level.
8 It multiplies those two components, then moves to another
9 food, does the same calculation, sums the intake of the
10 contaminant, and plots that intake level into a probability
11 distribution here. And from that distribution you can
12 determine the mean intake of the contaminant as well as, for
13 instance, the 90th percentile intake.

14 This iteration here is done, you know, depending
15 on how fast you run your computer or what not, you can do
16 100,000 or 200,000 iterations here to get a very defined
17 probability distribution. Next.

18 As I said, one of the key features of doing the
19 exposure is having some idea of the patulin level. In
20 calculating the patulin level, we relied on 2,977 samples.
21 These samples came from several different sources. One was
22 from the FDA compliance program, where we go out and analyze
23 apple juice samples for a number of different reasons. Some
24 of these are compliance, and some were done as part of our
25 market survey analysis.

1 And I might just mention that these compliance
2 samples would include rejected lots, or lots that had
3 patulin levels that were too high, even given that we have
4 no established level at this point. And also we got some
5 data which was supplied by the National Food Processors
6 Association, approximately, I think it was 650 data points
7 from their analysis. So that is where we get our estimates
8 of patulin in apple juice.

9 For the juice intake data, we rely on the USDA
10 Nationwide Food Consumption Survey, and this data set was
11 the one from '94 to '96. Several key features of this data
12 are the fact that it's based on a two-day recall of
13 consumers. It's not a long-term survey of food consumption.
14 It's, like I say, very short, a two-day survey. Next.

15 And the food categories that we used in our
16 analysis were juices, natural, canned and bottled; frozen
17 juices; drinks and ades that contain more than 10 percent
18 natural juice; and baby food, processed juice drinks, but
19 excluded from it the solids, apple solids, as I explained
20 from previously why we thought that wasn't necessary. Go
21 ahead.

22 When we ran our analysis, we were particularly
23 concerned about children. Children have a very high apple
24 juice to volume ratio, intake ratio, high intake to weight,
25 so in conducting our analysis we were particularly concerned

1 with protecting the safety of children. So in our analysis,
2 we broke our analysis down into three separate categories:
3 consumers of all ages; consumers one to two years old; and
4 consumers less than one year old.

5 And we did our calculation, here we get the mean
6 exposure in micrograms per person per day. Here is the mean
7 intake values in grams per person per day. And we also, as
8 I said, did our analysis at the 90th percentile. Go on to
9 the next slide.

10 And this--can you go back one?--and this analysis
11 here was based on the fact that we excluded no samples from
12 our analysis, so all of our samples, regardless of patulin
13 level, were included in this particular analysis here to get
14 these results. Next.

15 We ran the analysis again, and this time excluded
16 from our patulin levels any sample that had a greater than
17 50 ppb concentration of patulin in the juice, ran our
18 calculation again, and as you can see, the mean exposure in
19 micrograms per person per day dropped pretty significantly.
20 Can we go on?

21 And just to note, in our calculations, in
22 converting to micrograms per kilograms body weight per day,
23 we used the standard weights: the all-age-group, 64
24 kilograms; one- to two-year-olds were 12 kilograms; and less
25 than one were considered 8 kilograms. This is our standard

1 sort of weights that we use. Go on.

2 And just to reiterate what Dr. Bolger said, in
3 reviewing our analysis we considered the JECFA PTDI, the
4 provisional tolerable daily intake which was calculated by
5 the Joint FAO/WHO Expert Committee on Food Additives in 1995
6 in their 44th report. In that report they established the
7 PTDI at 0.43 micrograms per kilogram body weight per day,
8 and as Dr. Bolger mentioned, this calculation has a 100-fold
9 safety factor into it, factored into it. And I will mention
10 that this calculation was based on a long-term exposure to
11 patulin, not a short-term exposure. Okay, next.

12 When we converted our analysis to a microgram per
13 kilogram body weight per day, this is our results. Again,
14 here with no juice excluded, you can see that for all ages
15 we're actually already below the .43, but when one looks at
16 say for instance the 90th percentile eater, that's one- to
17 two-year-old, we are above, in this case above the PTDI for
18 patulin, and also for the less than one-year-old. All
19 right, you can go on.

20 Again, when we run the analysis where we have
21 excluded juice samples with greater than 50 micrograms per
22 kilogram and look at our analysis, here you can see again we
23 have dropped the mean exposure in micrograms per kilogram
24 per day by approximately a factor of three, and this is
25 pretty much across the board for all the analysis, and here

1 you can see the 90th percentile eater from a one- to two-
2 year-old drop below the PTDI that JECFA had established.

3 And just so you can compare the numbers, here I
4 put both tables on the same graph, and again you can get the
5 idea that we have dropped in the 90th percentile eaters by a
6 factor of three, and also for the mean eaters by a factor of
7 three. So that is a pretty significant reduction in
8 exposure. Go ahead.

9 And part of our analysis we also feel has built-in
10 conservatisms. One would be, an overall improvement in
11 patulin levels is not taken into account in our evaluation,
12 and if we go on to the next slide, I can tell you what I
13 mean by that.

14 This data was taken from the British Ministry,
15 where they in 1992 implemented a regulation limiting patulin
16 levels. As you can see, prior to 1992 they had a
17 significant number of samples here, the pink and red being
18 samples that were above 50 micrograms per kilogram. Okay?
19 But as their program became--came on line, you can see that
20 the distribution in patulin levels shifted to much lower
21 levels, in fact much lower than the 50 which was their limit
22 that they implemented.

23 So we think if we implement our level, that we
24 would see hopefully a significant shifting in patulin
25 levels, not just a chopping off of levels above 50. We'll

1 see a shift by the producers to lower levels of patulin.
2 That was not taken into account in our estimate of exposure.
3 Okay, if we go on the next slide.

4 Okay, there are also some conservative features
5 that are built into the Monte Carlo method, those being in
6 this case that we essentially considered all the foods to
7 have the same contamination levels. There is some evidence
8 to suggest, and several authors have reported this in their
9 analysis of samples, that samples that were intended for
10 children seem to have much lower levels than patulin--than
11 juice that was intended for the general public, particularly
12 in these small packages that were designed particularly for
13 children. Okay, that was not taken into account in our
14 analysis because we don't have that data.

15 And again, as I and Dr. Bolger both mentioned,
16 there is a 100-fold safety factor built into the PTDI that
17 we're using, and the PTDI was based on a long-term exposure.
18 And I just want to reiterate that the exposure analysis that
19 we did was based on two-day recall surveys of eaters, and
20 it's very hard to extrapolate from a two-day survey what a
21 long-term intake of apple juice would be, and so we think
22 that that would add another conservatism to our model. Okay.

23 And so our tentative conclusion, based on our
24 analysis, is that the proposed maximum limit for patulin of
25 50 micrograms per kilogram in apple juice and apple juice

1 containing products would be able--would protect the public
2 health to a level of a reasonable certainty of no harm,
3 which is our standard that we have. So I believe that's it.
4 Thank you.

5 DR. BENEDICT: Thank you.

6 And finally we'll hear from Dr. Troxell, who is
7 Director of the Office of Plant and Dairy Foods and
8 Beverages of CFSAN. He'll give us a summarization.

9 DR. TROXELL: Well, I'm afraid I'm going to
10 reiterate some of the things that were already said, but I
11 only have four pages of large type reiteration, so bear with
12 me.

13 One of my primary roles at the FDA is as a risk
14 manager. What I want to do is give you an FDA risk
15 manager's perspective on this issue.

16 As chemical contaminants go, patulin is relatively
17 easy for a risk manager. As a rule of thumb, when a
18 contaminant comes onto our radar screen as a significant
19 issue, the science is often inadequate, putting the risk
20 manager into the situation of decision-making in
21 uncertainty.

22 In the case of patulin, there is a body of data,
23 including a lifetime study with a "no adverse effect" level.
24 Furthermore, an international body of experts, JECFA, has
25 used that study to establish a provisional tolerable daily

1 intake. We have carefully evaluated the literature and
2 agree with JECFA's evaluation.

3 Secondly, the analysis of contaminants usually
4 does not work using the simple safety assessment approach.
5 For example, lead is a good case of a chemical contaminant
6 for which safety assessment has not worked because levels of
7 exposure exceed the safe level. In those cases, usually
8 more sophisticated procedures such as probabilistic
9 quantitative risk assessments are necessary, and decisions
10 need to be made to achieve the greatest public health
11 protection in the light of the unavoidability of the
12 contaminant.

13 In the case of patulin, we believe the safety
14 assessment approach is sufficient. The safety assessment
15 approach is a time-honored method used for evaluating safety
16 of chemicals, including both contaminants and additives. We
17 use it where we can for chemical contaminants, as it is
18 simple and we can screen relatively quickly those situations
19 where the level of a chemical contaminant in a food is safe,
20 that is, meets a negligible risk. We believe that patulin
21 would present a negligible risk at the 50 ppb action level.

22 In a safety assessment, whether for a contaminant
23 or a food additive, normally a safety factor of 100 is used
24 to extrapolate from the highest "no adverse effect" level of
25 a chronic study to an acceptable or tolerable daily intake.

1 This is then compared to an estimated daily intake. The
2 agency typically uses 90th percentile short-term intake for
3 the estimated exposure. We certainly would use long-term
4 intake if we had it, but such data have not been developed.

5 This procedure, taken as a whole, is considered
6 conservative and robust and is expected to protect consumers
7 to a reasonable certainty of no harm. The exposure estimate
8 normally used for comparison is the all ages exposure. It
9 more accurately represents the two-day exposures experienced
10 throughout a lifetime, which is the relevant time scale for
11 considering chronic studies. In the case of patulin, for
12 the 50 ppb action level, our analysis indicates there is an
13 extra five-fold safety factor for a total of 500-fold safety
14 factor.

15 Of course, we recognize that exposure is more
16 heavily weighted toward childhood years. Not only do
17 children consume more on the average, but their body weight
18 is lower, thus leading to relatively higher exposure per
19 kilogram body weight. In order to determine if there was an
20 adequate margin of safety for children, we evaluated their
21 exposure and found that it does not exceed the PTDI. Thus,
22 even at the most highly exposed lifetime interval,
23 childhood, there is a 100-fold safety factor at the 50 ppb
24 action level.

25 Therefore, we believe an action level of 50 would

1 assure that the patulin that may occur in apple juice is
2 safe. We have found in surveys, as you have heard, of
3 products, that on the order of 20 percent of the samples
4 exceed 50 ppb. As you have seen, establishment of a 50 ppb
5 action level reduces the estimated exposure by roughly a
6 factor of three.

7 We believe, however, that actual levels in
8 consumer products are significantly lower than found in the
9 surveys, because many of these samples were industry samples
10 used to determine the suitability of the juice before
11 processing, and were rejected if high, and others were
12 compliance samples which are biased high. Even though many
13 survey samples exceeded 50, we expect it is feasible to
14 routinely produce apple juice with levels below 50 ppb.

15 Both the National Food Processors Association and
16 the Processed Apples Institute have requested the FDA to
17 establish a limit of 50 ppb. Various European countries,
18 including the U.K., as Vince has pointed out, have
19 established 50 ppb levels. Patulin has also been under
20 consideration in the Codex Committee on Food Additives and
21 Contaminants for three years, and the level of 50 ppb was
22 forwarded this year for adoption by the Codex Alimentarius
23 Commission at step five of the eight-step process.

24 In my experience as alternate head of the U.S.
25 delegation to the CCFAC, the Food Additives and Contaminants

1 Committee, with principal responsibility for contaminants,
2 there is no significant dispute that a 50 ppb level is
3 feasible by using the simple preventive control of assuring
4 that sound fruit is used. While we recognize that patulin
5 cannot be totally avoided by preventive controls due to its
6 occurrence with minor blemishes and hidden rot, 50 ppb is
7 feasible, and based on our analysis, we believe it is
8 sufficient to protect public health.

9 In our analysis to determine the effects of a 50
10 ppb limit, we simply excluded all samples exceeding that.
11 To comply with the action level, we expect manufacturers to
12 implement preventive control measures, such as culling, to
13 assure that finished product meets the action level.

14 We expect, therefore, that the distribution levels
15 would be shifted to levels substantially lower than 50 ppb
16 on the average. I think Dr. Zenger's illustration of the
17 U.K. situation further suggests that would also happen in
18 the U.S. Furthermore, in our notice issuing the action
19 level, the agency will recommend that proactive steps be
20 taken to control patulin in product, to reduce it to the
21 greatest extent feasible.

22 Finally, after issuing the limit, the agency will
23 monitor compliance. This monitoring will also provide an
24 after-the-fact patulin level distribution, a new patulin
25 level distribution curve. We therefore will have a means to

1 verify that the limit is resulting in the expected reduction
2 in exposure, and we can take corrective action if needed.

3 That concludes my remarks, and that concludes our
4 presentation. Thank you.

5 DR. BENEDICT: Thank you, all of you, for very
6 nice presentations.

7 We enter into the section where we will ask
8 questions of any of the speakers, and I ask you to direct
9 your questions to a speaker using their name, each of which
10 is visible to you, so that everything can be on the record.

11 The discussion really is the discussion of action
12 levels and things like that. We are not really concerned in
13 this discussion with regulatory issues. The question that
14 we want to answer has to do with, should it be, and should
15 it be this level.

16 So we'll open the floor for questions from anyone
17 from the panel. Dr. Sigman-Grant?

18 DR. SIGMAN-GRANT: Yes. My questions are to Dr.
19 Zenger, mainly about the exposure and how you calculated it.
20 Do you know what the range of intake might have been,
21 particularly with the children? The mean seems a little bit
22 low to me. Do you have any idea about the range?

23 DR. ZENGER: Well, just thinking back, I think--
24 well, the 90th percentile was, what, around 500, I think,
25 for the children. I that what you're talking about, the

1 children's exposure?

2 DR. SIGMAN-GRANT: Yes.

3 DR. ZENGER: Yes, I think that was the 90th
4 percentile. I don't recall what the 99th percentile was off
5 the top of my head.

6 DR. SIGMAN-GRANT: The reason I'm asking, because
7 obviously some children, especially the young ones, drink
8 large amounts of apple--

9 DR. ZENGER: Again, I think that's a little bit
10 confusing because, like I said, based on that two-day survey
11 data, I mean it would be hard to imagine someone drinking
12 huge quantities. Maybe over a short period of time for--you
13 know, that would be possible, but over the lifetime, which
14 is really our major concern, you know, it doesn't seem that
15 we have the data to support that, you know, they'd have a
16 really high intake.

17 DR. SIGMAN-GRANT: Well, that's the national food
18 consumption the CSFII for the three years. My next question
19 is, in '89 was an Alar scare, and a lot of consumers
20 decreased their consumption of apple juice, particularly
21 moms serving children. I don't know if this has slowly
22 increased in volume--if volume has slowly increased over the
23 years in apple juice, as the time between '89 and
24 continuing.

25 And one of the things I know that USDA is doing is

1 an increased pediatric surveillance, a food consumption
2 study to allow for, I think, eventually like 10,000
3 children. I think that's been completed. I think the ends
4 in the infant and the one-to-two-year-olds in the CSFII,
5 either '94 to '96, are relatively low, and that additional
6 children were needed, I believe, to increase our knowledge
7 about what children are actually exposed to. I'm wondering
8 if any of that has been taken into consideration.

9 DR. ZENGER: We didn't have access to that data,
10 to my knowledge. You know, this consumption, '94 to '96,
11 was what--you know, what we have access to and what we
12 typically use. I mean, we could run that analysis again
13 based on other intake data if we had it, but at this point--

14 DR. SIGMAN-GRANT: I think they're just about
15 finished collecting the data. I don't think it's available
16 --well, it isn't available for public, but I don't know if
17 it would be important or even to look at, just general, this
18 appearance data, to indicate whether there's been an actual
19 maybe increase in total consumption over the years, because
20 --just because of that scare incident.

21 DR. ZENGER: Well, it would certainly be something
22 we could look at, you know, as part of our--if we do set an
23 action level, that could be something we could take into
24 account in our document that would--

25 DR. SIGMAN-GRANT: Because I don't know if it

1 would change exposure, potential exposure rates over a
2 lifetime, but it might.

3 DR. BENEDICT: All right. Anyone else? Dr.
4 Hotchkiss.

5 DR. HOTCHKISS: Yes. Dr. Bolger, my thumbnail
6 math is letting me down a little bit here. I'm just trying
7 to quickly push through to get the .43 number. Was that
8 based on the 100 microgram per kilogram per day or per week
9 of Becci?

10 DR. BOLGER: Yes, it was based on the low dose
11 level.

12 DR. HOTCHKISS: So then you divide that by seven--

13 DR. BOLGER: But is it 100 micrograms a week?

14 DR. HOTCHKISS: It's 100 micrograms per kilogram
15 body weight weekly.

16 DR. BOLGER: It's three doses, so that would be
17 300--

18 DR. HOTCHKISS: Right, right.

19 DR. BOLGER: --divided by 7--

20 DR. HOTCHKISS: Yes.

21 DR. BOLGER: You should get 43.

22 DR. BENEDICT: Okay, so anyone else? Dr.
23 Applebaum?

24 DR. APPLEBAUM: If either Dr. Bolger or Dr.
25 Troxell could just very quickly take us through the process

1 by which the paragraph on page 3 of the letter that was sent
2 to CCFAC in '98 where you talk about the 500-fold safety
3 factor. Starts off with "moreover". It's right after--do
4 you see it?

5 DR. TROXELL: Yes, yes.

6 DR. APPLEBAUM: Can you just take us through,
7 because I seem to be having the same type of problem with my
8 pen not being able to add properly, and just to take us
9 through that 500-fold safety factor, because that is--that's
10 significant in terms of the safety provided.

11 DR. TROXELL: Okay. The PTDI is .43 micrograms
12 per kilogram body weight per day. That is--that
13 incorporates a 100-fold safety factor. If you go to page 4
14 of Table 2 for the juice samples with a limit of 50 ppb
15 excluded, you will see the 90th percentile all ages consumer
16 is at .078. And if you do your math there, you should get
17 another factor of a five, approximately. I think the factor
18 is 5.5.

19 DR. APPLEBAUM: Okay.

20 DR. TROXELL: So 5.5 times 100 gives you 550-fold
21 safety factor when you look at this from the all ages
22 viewpoint.

23 DR. APPLEBAUM: Thank you.

24 DR. BENEDICT: Further questions? Dr. Hotchkiss.

25 DR. HOTCHKISS: I'm sorry, I'm still confused.

1 Maybe because I haven't studied this, obviously it's because
2 I haven't studied this carefully enough. But the Becci
3 paper doesn't seem to make this 300 very clear to me. I
4 mean, let me quote it.

5 It says, "Animals received patulin by gastric
6 intubation three times a week at levels of 0.1," and so
7 forth, "milligrams per kilogram body weight." Does that--

8 DR. BOLGER: You're looking at the paper?

9 DR. HOTCHKISS: Yes.

10 DR. BOLGER: Okay. They did that three times a
11 week. Okay? So the total dose, say at the low dose, would
12 be 300 per week.

13 DR. HOTCHKISS: Per week.

14 DR. BOLGER: They did it three times per week.
15 Okay. The total dose is 300 micrograms--all right?--at the
16 low dose.

17 DR. HOTCHKISS: Well, that's not what it reads to
18 me. That's why I'm curious about it, because that's not
19 what it says. I mean, if you're sure that's what it is. It
20 says the dose levels of patulin used were those levels per
21 kilogram body weight, period.

22 DR. BOLGER: Yes. They only did it three times a
23 week. We did go back and look at this study. As a matter
24 of fact, we pointed out to JECFA, because when they first
25 looked at this study, they--because the paper is a little

1 unclear, all right? And when they first evaluated the Becci
2 study, I think it was in 1990, they made the same
3 assumption, that it was a daily dose. We pointed out to
4 JECFA that it was not a daily dose, it was three times a
5 week, and pointed out the error in dosage, and in fact
6 that's what they corrected their dose and ended up a .4,
7 so--

8 DR. HOTCHKISS: So you're confident it was 300 and
9 not as the paper reads?

10 DR. BOLGER: Well, because we pointed out the
11 error in JECFA's original analysis, so yes. In fact, I went
12 back over it again last week because, you know, every time I
13 go back over it I always wonder, "Did I do this right?" But
14 several of us have looked at that, JECFA looked at it,
15 reached the same conclusion. So it's not a daily dose, it's
16 three times a week.

17 DR. HOTCHKISS: No, I understand that, but it
18 doesn't say--it just says levels per, it doesn't say when.
19 It says it was given three times, but it doesn't say what
20 the doses were that were given each time. It just says what
21 the dose was.

22 DR. BOLGER: Well, I--okay.

23 DR. BENEDICT: Did anyone call Becci and find out?

24 DR. BOLGER: No.

25 DR. BENEDICT: In all these deliberations?

1 DR. BOLGER: I didn't. Let's put it that way.
2 And I have no firsthand knowledge that anybody did. So, I
3 mean, yes, that's something we could do to just double-check
4 on that.

5 DR. BENEDICT: Additional questions? Dr.
6 Kuzminski?

7 DR. KUZMINSKI: What is the--I'm not sure who I
8 should direct this question to, but what is the variation in
9 the analysis, the variability around--you've given the
10 sensitivity level or the detection level. What's the
11 variability of that?

12 DR. BENEDICT: Say your name when you answer.

13 DR. ZENGER: Dr. Zenger. I'm not sure exactly
14 what you mean by "variability." When you run that iteration
15 and it calculates the probability plot, you get a
16 distribution plot for the results, so there is no real
17 variability around that plot. You get, you know, 200,000
18 points. They give a point estimate for a consumer, you
19 know, and those are plotted on the probability curve.

20 DR. KUZMINSKI: The question was directed to just
21 an analysis of samples containing patulin, and I guess what
22 is the coefficient of variation of the analysis?

23 DR. BENEDICT: Dr. Troxell?

24 DR. TROXELL: This is Terry Troxell. We don't
25 have our analytical expert here, but certainly when we set

1 an action level and when we consider enforcement action, we
2 would certainly take into consideration the reliability of
3 that number that the sample actually exceeded 50 ppb. I
4 mean, I think fairly clearly at this point the analysis can
5 go down to 5 ppb, so if it can go down there, with
6 quantification the variation probably is fairly good.

7 DR. ZENGER: Well, if you're talking about
8 variation around--for an analysis of patulin, okay, that's a
9 different story. That's lab-dependent, and the variability
10 can be fairly high. It just depends on which lab is doing
11 the analysis and how good they are. But all we have for a
12 patulin level is the point estimate. We don't have an
13 estimate of the variability of the patulin level for a
14 sample, for any individual sample.

15 DR. KUZMINSKI: But given that, and given the
16 comment by Dr. Troxell, the 50 ppb level would include that
17 variability.

18 DR. BENEDICT: Could you answer verbally, please?

19 DR. ZENGER: Yes. Dr. Zenger. Yes.

20 DR. BENEDICT: Dr. Sigman-Grant?

21 DR. SIGMAN-GRANT: I guess my math is--it must be
22 late in the day or something. I'm looking at the Table 2
23 where you have the 90th percentile and the exposure is .42
24 micrograms per kilogram body weight, and you're setting the
25 level at 50 micrograms per kilogram. To me that's only a

1 10-fold difference. And that's in the apple juice, I
2 recognize that.

3 DR. ZENGER: I'm not sure exactly what--

4 DR. SIGMAN-GRANT: Now I'm getting confused. I
5 need to figure out the weight of the juice.

6 DR. ZENGER: Yes, right. You have to take into
7 account the intake.

8 DR. SIGMAN-GRANT: The other column. Okay.
9 Right. Sorry.

10 DR. BENEDICT: No, that's fine. Anyone else?
11 Well, the Chair will ask just a small question.
12 When the rats died, what was the cause of death? Whoever
13 would like to answer that.

14 DR. BOLGER: You mean the histopathological
15 diagnosis?

16 DR. BENEDICT: Anything.

17 DR. BOLGER: The thinking was that because patulin
18 is an antibiotic and it's selective for Gram-positive, I
19 think it is, that you then select out for Gram-negative and
20 you have a bacterial infestation, I think is the best way to
21 describe it, as one of the primary responses. But remember
22 this has a pronounced effect on sulfhydryl groups, so it
23 affects any number of enzyme systems.

24 DR. BENEDICT: No, I understood the cell biology
25 and the biochemistry, but you have a dead rat.

1 DR. BOLGER: Right.

2 DR. BENEDICT: What killed it?

3 DR. BOLGER: I'm not sure that--

4 DR. BENEDICT: Was it respiratory, was it tumor,
5 was it--

6 DR. BOLGER: Oh, yes. Yes. It was pulmonary
7 edema and respiratory distress.

8 DR. BENEDICT: Presumably because of the ion
9 problems, that--

10 DR. BOLGER: You get all these massive disruptions
11 of ion fluxes across cell membranes, you get exudate in the
12 lungs, and they just suffocate on their own fluid.

13 DR. BENEDICT: Sure. Okay. So was this--when the
14 males lose weight and when the females didn't was this
15 confounded some way by the--you probably can't answer this,
16 didn't do the study--but is it possible that that was not a
17 reaction to the chemical but something to do with the gavage
18 procedure? Do males react more violently to being gavaged
19 than females do? Is there some confounder there that hasn't
20 been looked at? Did they get ripped up more?

21 DR. BOLGER: Well, my experience has been, I mean,
22 that's always a tricky--gavage is always a tricky technique,
23 but it's not selective to one sex. I've never seen a study
24 with that as--I mean, if it's a problem, it's a problem in
25 both sexes.

1 DR. BENEDICT: Sure.

2 DR. BOLGER: So, you know, that's the only thing I
3 could base it on.

4 DR. BENEDICT: It's a fairly trivial question, I
5 realize that.

6 DR. BOLGER: Oh, no. No, I mean that could be a
7 real problem. I mean, you could, if it were a particular
8 problem in gavaging one sex over the other, that could be an
9 explanation. Now, the authors didn't note anything like
10 that, so I would have to presume that it was not something
11 that was a problem that was just in one sex versus the
12 other.

13 DR. BENEDICT: Sure. The last thing I'd like to
14 ask is, with respect to the carcinogenicity question, I
15 notice in the list of organisms that *Penicillium roqueforti*
16 is a producer of this substance, and it would seem to me
17 that someone could check people that make blue cheese, and
18 first of all, do you find an elevated level in blue cheese?
19 If you don't, that's fine. If you do, do blue cheese
20 workers have some anomaly?

21 DR. BOLGER: As I pointed out, the problem is
22 nobody has done a study. Now, whether you could do a study,
23 maybe that's a possibility.

24 DR. BENEDICT: Dr. Buchanan I think is busting to
25 speak.

1 DR. BUCHANAN: A point of clarification. Patulin
2 is one of a series of polyketide mycotoxins. Those are
3 under very strict control as secondary metabolites, and the
4 substrate cheese, which is low in carbohydrates and high in
5 proteins, do not support the conditions that would lead to
6 any substantial production of patulin in cheese. And in
7 fact, the analysis for the levels of most mycotoxins in
8 cheese turn up consistently very, very low because of that,
9 and it's pretty consistent for all the polyketides.

10 DR. ZENGER: Dr. Zenger. Yes. I mean, that's
11 been looked at in the literature. If you look at that,
12 patulin has been looked for, and again a lot of this is
13 strain-specific, also, and the particular strains which are
14 low in these kind of production of metabolites. So there
15 are varieties of Roquefort A.I. that do produce high levels
16 of patulin but there are others which produce almost zero,
17 so producers have a tendency to pick those that produce
18 zero.

19 DR. BENEDICT: Thank you.

20 Dr. Montville?

21 DR. MONTVILLE: I'm going to grant your
22 mathematical superiority, but I have I think a philosophical
23 question about at what level FDA is required to protect the
24 health. And that's going back to Table 2 on page 7. The 50
25 micrograms per kilogram is based on the JECFA permissible

1 tolerated daily intake of .43 micrograms per kilogram body
2 weight, and the one-to-two-year-old 90 percent intake is
3 .42, which is pushing that, given, one, maybe the question
4 of whether the consumption is adequate; two, the assumption
5 that children need more protection than adults; and, three,
6 we don't know how long the tail is, so in the 99th
7 percentile, would it be over, and how far? I'm just a
8 little uncomfortable with that.

9 DR. BOLGER: Well, I guess a couple of answers,
10 and that is, when you look at patulin and how we're
11 approaching this, it's really not that inconsistent with how
12 we do these kinds of safety assessments and compare them to
13 these exposure assessments. Bear in mind, these exposure
14 assessments are conservative. Okay? We are overestimating
15 what exposure is. We're taking a two-day survey and
16 extrapolating into chronic intake, and we know from every
17 survey we've ever done this on, if you have a biomarker,
18 okay, where you can do a reality check, we're always over by
19 a factor of three to five. Okay?

20 I'll take methyl mercury as an example. If I take
21 my methyl mercury exposure assessment and then I compare it
22 to my hair levels, I'm over by a factor of three to five.
23 If I take lead, okay, and I estimate what the exposure would
24 be, taking a two-day survey, using it for chronic exposure,
25 and I compare it to blood lead levels, I'm going to be over

1 by a factor of three to five.

2 So, you know, we have some history of experience
3 here of using these kinds of surveys this way for chronic
4 exposures. We don't like it, but that's all we have. We
5 don't have any long term. We used to have 14-day surveys
6 from MRCA. We don't have those anymore. Okay? So we have
7 a number of conservatisms built into it.

8 Yes, if you look at over the 90th percentile, as
9 you said, you're pushing that safe level, and so you could
10 say it would be 10 percent or over the safe level. But the
11 safe level is just that. It has a margin of safety built
12 into it, too.

13 I mean, because you go over this bright line, the
14 term that's always used is, you are now at risk. Well, I
15 don't know what that means. That means you're above the
16 safe level, but it doesn't mean that your risk has changed
17 at all. You could go 10-fold above that and your risk
18 doesn't change one bit.

19 So, I mean, I think because we have these
20 conservative steps built into the analysis like Mitch went
21 over, I don't think that we're that inconsistent with how we
22 look at these kinds of contaminant issues. But your point
23 is well taken, and one we're very mindful of.

24 Terry, you want to say something?

25 DR. TROXELL: Yes. Terry Troxell.

1 I just want to add that the comparisons that Mike
2 was making to lead and mercury are really talking about
3 comparisons of two-day exposures to blood lead changes over
4 a short period of time, or hair changes over a relatively
5 short period of time. We're talking here about looking at a
6 lifetime bioassay and comparing it to two-day results, so
7 the exaggeration is likely to be even greater.

8 As I was trying to say, the agency, in evaluation
9 of chemical contaminants or additives and so on, looks at
10 the overall process with these substantial safety factors,
11 as well as looking at 90 percentile as being an overall very
12 conservative and robust process that effectively protects
13 all consumers.

14 DR. BENEDICT: Dr. Hotchkiss?

15 DR. HOTCHKISS: Before we vote on this, which
16 we're going to do, I just want to point out to the committee
17 the--generally the procedures used here are well accepted in
18 the toxicological community and supported worldwide, in my
19 experience.

20 DR. BENEDICT: Could you get a little closer?

21 DR. HOTCHKISS: But the number that--of the
22 permitted tolerable daily intake is based on a single number
23 from this study, which I think is not a problem either,
24 except that when I read this study, it's unclear to me what
25 that number actually is.

1 I'll point out to you, for example, in the
2 author's study they have one table, they put milligram per
3 kilogram body weight per day, and they put the .1, .5, 1.5
4 numbers in that table. That's a daily number they put in
5 that table. Now in another table they put milligrams per
6 kilogram body weight with no time factor on it.

7 In their design of the experiment description,
8 they say the dose levels of patulin used were 0, 0.1, 0.5,
9 and 1.5 milligrams per kilogram body weight, period, no time
10 factor added to that, so you don't know what they mean by
11 that. Later they say "test solutions," not describing what
12 those test solutions were or their concentration, but "test
13 solutions of patulin were administered by gastric intubation
14 three times per week."

15 So at least as I read this, unless somebody can
16 correct me, it looks a little equivocal to me without
17 further checking into exactly what was given to these
18 animals, and that's the basis of this decision.

19 DR. BENEDICT: Dr. Bolger would like to reply.

20 DR. BOLGER: I mean, maybe it's because I've been
21 looking at these for 20 years. I mean, what they described
22 in their design, okay, it's pretty clear to me what they're
23 saying is that they have three dose levels. They're giving
24 a gavage here. This is not a dietary, so they're giving a
25 gavage and they say "We do this three times a week."

1 So that tells me, okay, that that's the frequency
2 of administration over a week's time span. They didn't say
3 "We did this on a daily basis." We did it three times a
4 week. We used these three dose levels. And that's a fairly
5 standard way of describing it, okay, for a gavage study.

6 Now the table, I'm not sure what table because I
7 was trying to scramble real fast, looking at what you were
8 saying.

9 DR. HOTCHKISS: Table 2--

10 DR. BOLGER: Table 2.

11 DR. HOTCHKISS: --sex and patulin level.

12 DR. BOLGER: Yes. Okay, but that's not--and I
13 agree. You're right, that's not what they're saying in the
14 design. Okay?

15 DR. HOTCHKISS: Well, we can debate what they said
16 in the design, but--

17 DR. BOLGER: Well, I can't resolve it, yes. I
18 mean, the best way to do it is call someone.

19 DR. HOTCHKISS: Or the best way, what they should
20 have done and the reviewer should have made them do is say
21 what the concentrations were and the dose levels, or what
22 the daily dose levels were, or how much of a certain
23 concentration was. It could be interpreted, in my view,
24 either way.

25 DR. BENEDICT: Dr. Russell?

1 DR. RUSSELL: I think this is more along
2 philosophical lines, too. You think you have a 100-fold
3 safety factor for infants, and I guess the point I want to
4 make is that the infant is not just a smaller version of the
5 adult. You pointed out some differences, but in addition
6 there are huge differences in the gut, particularly with
7 their much more permeable gut and their much more immature
8 immune system in the gut.

9 So I would want to make sure, I think it harkens
10 back to something that Dr. Sigman-Grant said about making
11 sure that your intake levels in that age group are in fact
12 the most current intake levels that are available, and I
13 would definitely check with USDA to see if they have, and
14 the National Center for Health Statistics to see if they
15 have more up-to-date data on that age group. I know they
16 are over-sampling in that age group, just as they are in the
17 elderly, because in the past we don't have good information
18 on those two age groups.

19 DR. BENEDICT: Anyone else? Anyone with a comment
20 or a question? Complaint?

21 [No response.]

22 DR. BENEDICT: Okay, so this puts us well ahead of
23 schedule. I have to ask the boss for a clarification.
24 We're scheduled for a break at 3:10, and the important
25 number here that I have written down is 3:25 for the public

1 hearing. Is that flexible? Has anyone else signed up?

2 MS. DeROEVER: No.

3 DR. BENEDICT: And if not, has Dr. Matthys--is he
4 prepared to speak if we truncate the thing?

5 MS. DeROEVER: He's here.

6 DR. BENEDICT: Okay, so if there are no further
7 questions from the panel, why don't we accelerate and take
8 the break now? We'll come back, have the public hearing,
9 maybe a few more questions will appear to you, occur to you
10 as we're breaking, and then you can have a chance to ask a
11 couple more questions, and then we'll answer the questions
12 from the FDA. So let's come back in 15 minutes, which will
13 be 2:30--I'm sorry, 3 o'clock by my watch. Thank you.

14 [Recess.]

15 DR. BENEDICT: We can take just a second while
16 we're assembling to pass this out, and we'll make this
17 available I guess at a later time for the vast crowd behind
18 me. Does everyone have a copy of those handouts that would
19 like to have one, at least around the table? Okay.

20 Let us resume, and just before the public hearing,
21 I'm going to ask Mr. Alex Liberman, who is the attorney, to
22 make a brief statement. He has a point of clarification
23 that I think is useful to make.

24 MR. LIBERMAN: I'll be very brief. I just want to
25 remind the members of the Food Advisory Committee that an

1 action level is merely a form of guidance. An action level
2 is not binding on the courts, the public, on food makers or
3 the agency itself. Action levels do not have the full force
4 and effect of substantive rules or regulations. I just want
5 the committee to keep that in mind, that we are talking
6 about an action level at this point in time.

7 DR. BENEDICT: Thank you.

8 Okay, let's enter into the official open public
9 hearing, and we're going to hear from two people. First
10 we'll hear from Dr. Allen Matthys from the National Food
11 Processors Association, and talk among yourselves until he
12 gets his microphone on.

13 DR. MATTHYS: Good afternoon. I'm Allen Matthys,
14 Vice President of Regulatory Affairs for the National Food
15 Processors Association. NFPA is the principal scientific
16 trade association representing the food industry. With
17 three laboratory centers, NFPA is a leading authority on
18 food science and safety for the food industry. For more
19 than 90 years, the food industry has relied on NFPA for
20 various government and regulatory affairs representation,
21 scientific research, technical services, education,
22 communications, and crisis management.

23 The issue of patulin in apple juice has been
24 reviewed extensively by our Juice Products Technical
25 Committee. Patulin is produced by various molds that infect

1 apples, as you have heard today. If moldy apples are used
2 to produce apple juice, patulin is likely to be present in
3 the juice.

4 The presence of patulin serves as a good indicator
5 of the quality of the fruit used to produce the juice.
6 Patulin levels in excess of 50 ppb in apple juice and single
7 strength apple juice from concentrate are more likely to be
8 associated with excessively moldy fruit. Proper fruit
9 selection, handling, sorting, culling, storage and washing
10 can assure that only good quality fruit are used to make the
11 apple juice. Use of these Good Manufacturing Practices can
12 reasonably assure that the juice will not exceed the 50
13 microgram per kilogram or part per billion level.

14 On November 1, 1996, NFPA requested the Food and
15 Drug Administration establish a guideline or action level of
16 50 micrograms per kilogram as a maximum limit for patulin in
17 apple juice and single strength apple juice from concentrate
18 used as an ingredient in food intended for human
19 consumption. This action was taken on behalf of NFPA's
20 Juice Products Committee.

21 Many U.S. companies that process and/or purchase
22 apple juice and apple juice concentrate have product
23 specifications establishing a maximum level for patulin of
24 50 micrograms per kilogram based on single strength juice.
25 The U.S. Food and Drug Administration has not yet

1 established an action level for apple juice. We would hope
2 that they would be able to do so in the near future.

3 As mentioned also by Dr. Troxell, this same action
4 level is under review by the Codex Committee on Food
5 Additives and Contaminants, and by the Codex Alimentarius
6 Commission. It is at step five in the process, going before
7 the commission next week, so we hope that will move forward
8 also.

9 Because the U.S. FDA has no defect action level or
10 guidance limit for patulin in apple juice, NFPA members
11 report rejecting shipments of imported apple concentrate
12 which exceed the 50 microgram per kilogram limit established
13 in their company specifications. They speculate, and likely
14 rightly so, that that product is not re-exported out of the
15 country, but is instead diverted to other companies that
16 have not established an action level for patulin, and
17 therefore that product is on the U.S. market.

18 NFPA members also report that since they have
19 established action levels, that their product rejection rate
20 has decreased from the area of 10 to 15 percent in around
21 1995-96 down to about 2 to 5 percent in 1998. That is in
22 part based on the fact that they are selecting suppliers who
23 consistently provide them product that meets or is below the
24 50 ppb limit, and they know that if it's above it, that
25 product will be rejected.

1 Also, the 50 microgram per kilogram level would
2 fall within current analytical capabilities. That's a plus
3 or minus 10 micrograms per kilogram, based on discussion
4 with industry chemists; that they feel, when they run a
5 sample, they are probably plus or minus 10 around that limit
6 if they're looking at 50 as their limit.

7 The current AOCA method, and I've provided you a
8 copy of that, 995.10, was collaboratively studied using
9 levels of 20, 50, 100, and 200 micrograms of patulin per
10 liter of juice. In talking to some of the laboratory people
11 about how they would handle a sample coming in, and this is
12 the practical side: If you receive a sample from a
13 supplier, you routinely test what's coming in to your
14 company, how do you handle that when you get a number?

15 If that test is over 50, you're going to reject
16 it. With one company, if it's under 30, we'll accept
17 because we know we have enough leeway now that further tests
18 will put us under 50, even though we have a plus or minus 10
19 at this level. If we're from 30 to 50, we will re-test to
20 better quantify that number. If that second test comes out
21 over 50, we'll reject.

22 So we're doing two tests because of the
23 variability of the method. That's current practice now,
24 definitely within one company and certainly within others
25 who are looking at that, so you have a plus-minus reject.

1 So the test, we do not feel at this point, for
2 routine analysis is adequate below 30 to do a real good
3 quantitative without doing multiple tests, and then you have
4 to average those numbers together to figure about where you
5 are. That can get to be a problem if you're dealing with
6 compliance criteria where you've established a number.

7 So we feel, based on that and based on other
8 evidence we've seen here today, that 50 is an appropriate
9 number and we should move forward with that number as
10 quickly as possible. Thank you.

11 DR. BENEDICT: Thank you, Dr. Matthys.

12 We'll have a second speaker, Dr. Andy Ebert of
13 Keller Associates, who will make his way to the podium at
14 this time.

15 DR. EBERT: Slowly. I apologize.

16 DR. BENEDICT: No apology necessary. We're well
17 ahead of schedule.

18 DR. EBERT: Thank you, Chairman. I recall when I
19 served on this committee a few years back, about this time
20 of day I was hoping for two things: information and
21 brevity. I'll attempt to stick with both guidelines.

22 I am here today representing the Processed Apples
23 Institute, which, as the name implies, is a trade
24 association. It's composed of those companies that process
25 apples into juice and sauce. Although phrases "as American

1 as apple pie" are pretty well known, the fact of life is--
2 and this is true for a lot of fruits and vegetables that we
3 consume--about half of the product that our members put up
4 comes from U.S. sources, which means about half comes from
5 non-U.S. sources.

6 The concentrate that we work with comes from a
7 variety of countries in Europe and most recently in the
8 Orient. And even though the guideline that we are talking
9 about today is that, as pointed out by legal counsel, it is
10 extremely important for those of us who are representing
11 companies that do business on an international basis to (a)
12 have a standard that we can live with--we certainly endorse
13 the 50 ppb figure as being workable and scientifically
14 sound--and (b), we have to have harmony with the overseas
15 activities that you heard about today from Dr. Troxell and
16 others.

17 We, too, as the question came up before, are
18 interested in what's going on in the marketplace. Someone
19 raised the question, were there any detrimental effects on
20 apple juice and sauce consumption following the Alar scare
21 about a decade ago, and the answer is yes. But as
22 fortunately is the case with so many of these products,
23 there is a dip and a rebound that can be tracked through
24 appropriate market research channels.

25 However, what's going on here and what I did want

1 to mention, and I'm not particularly happy to talk about
2 this but it is a toxicologic fact of life which might go
3 into the consideration of this group, unfortunately too many
4 of our consumers are now giving fruit products with the
5 assumption that the ultimate consumer, perhaps their child
6 or a member of their family, is in fact ingesting a product
7 that they believe is 100 percent of the named product, but
8 it is not. It might be, shall I sort of glibly say, a
9 snappy alternative.

10 And one would see in the case of apple products a
11 number of diluted apple products out there that in fact go
12 into the baby bottle, and mama thinks she is giving her
13 youngster 100 percent juice and is not. Obviously, that
14 gives us, if you go through some of the calculations like
15 Dr. Hotchkiss was going through, some good numbers from a
16 toxicologic standpoint. I don't think we can be very happy
17 about it from a nutritional standpoint, however.

18 From a practical standpoint, then, it does mean as
19 we go forward with the USDA screening programs, that the
20 tier that one looks at, the identified product, is in fact
21 accurately identified and one extrapolates the data and in
22 fact is using USDA data to reflect what's going on in the
23 marketplace. And there's a flux, there's a change in the
24 marketplace in our industry as well as any others.

25 I think it's fair to say that our organization

1 continues to work on an international basis, have been for
2 10 years, worked very closely with Dr. Matthys and his
3 colleagues. We have a number of people that we go out and
4 have a glass of apple juice with upon occasion.

5 And I couldn't help but notice just on the plane
6 on the way up here, MAFF, Ministry of Agriculture, Fisheries
7 and Foods, has announced their food surveillance program for
8 1999-2000, and of the some three or four dozen programs,
9 they will continue their program which they began in 1998,
10 survey of apple juice for patulin. It's interesting the
11 twist that they put on it, because I think it's sort of
12 reaching the point of diminishing returns.

13 The stated goal is to determine the levels of
14 patulin in apple juice. "This project will establish the
15 exposure of the U.K. population to this mycotoxin and
16 confirm whether the downward trend in patulin levels
17 identified by previous surveys is continuing." I think that
18 the data indicates, well, yes, it has been going down,
19 although we better figure it's going to be leveling off.

20 Thank you, Chairman.

21 DR. BENEDICT: Thank you, Dr. Ebert.

22 Okay, so if there are no additional public
23 speakers, we will move to the questions. Since we're a
24 little ahead of schedule, I could ask one more time, does
25 the panel have any questions of our FDA representatives that

1 might help clarify their opinions?

2 [No response.]

3 DR. BENEDICT: Seeing none, we'll enter into the
4 discussion of the questions posed by the FDA to the
5 committee, and we'll note at this time that the two industry
6 representatives, Dr. Applebaum and Dr. Kuzminski, are going
7 to recuse themselves from the actual vote to avoid any
8 possible conflict of interest problems.

9 Because of that, I'd like to ask them in turn to
10 give us their opinions in advance of our sampling the panel,
11 and so we should start with Dr. Applebaum. You may say
12 anything you wish, and I'm sure you will.

13 DR. APPLEBAUM: Thank you, Mr. Chairman.

14 There are just some points that I'd like to
15 reemphasize, and they've been made very clear by the
16 representatives from FDA today, as well as from our own Dr.
17 Matthys, but I'd like to impress upon my colleagues that,
18 again, this is a guidance. At this point in time there is
19 no level out there, no direction available other than what
20 the industry is currently enforcing itself, and doing an
21 excellent job, if I may say so.

22 We have requested this guidance because it's
23 important for us in terms of maintaining the safety of the
24 product, which is first and foremost in our members' minds,
25 as well as the quality of the product. We have debated,

1 both internally as well as externally, the level that we had
2 been requesting. We feel very comfortable in it
3 representing a safe level, for the reasons that have been
4 articulated.

5 With that, I just want to again mention the fact
6 that we are looking at a global marketplace. We feel very
7 comfortable about the safety of what has been established by
8 WHO, the fact that the industry does not, if you will,
9 attempt to meet the 50 ppb; in fact, the industry is below
10 the 50 ppb. But as you know, when you're doing
11 distributions and you're looking at ranges of products, not
12 everything comes in at a certain number, so you have to look
13 at the range of the product or the ingredient that we
14 receive.

15 So with that, Mr. Chairman, and I appreciate the
16 fact that, you know, the understanding of being so close to
17 this issue, my objectivity would bias considerably my vote
18 on this. So those are my comments.

19 DR. BENEDICT: Thank you, Dr. Applebaum.

20 Dr. Kuzminski, would you like to make a comment?

21 DR. KUZMINSKI: Sure. Thank you for the
22 opportunity to comment.

23 I think this, it's not a no-brainer, but this is,
24 as far as doing this and keeping a compound that there is
25 enough of a cloud over from a public health point of view to

1 a level out of the American diet, this action that's being
2 proposed should be done. Unless there is a national
3 standard, a national action level, the compound at higher
4 than that kind of level, the 50 parts, will continue to find
5 its way into the food supply. So that's basically my
6 comment on it.

7 DR. BENEDICT: Thank you, Dr. Kuzminski.

8 So now we will ask two questions of the committee.
9 Then we'll do the same thing we did before. I'll ask you
10 for a yes or no sort of answer.

11 The first question: The committee is being asked
12 if the available scientific data support the establishment
13 of an action level for patulin in apple juice and apple
14 juice containing products. In short, should there be an
15 action level?

16 I'll start on the other side of the room. Dr.
17 Hotchkiss, yes or no?

18 DR. HOTCHKISS: Yes.

19 DR. BENEDICT: Yes. Thank you.

20 Dr. Sigman-Grant?

21 DR. SIGMAN-GRANT: Yes.

22 DR. BENEDICT: Dr. Montville?

23 DR. MONTVILLE: Yes.

24 DR. BENEDICT: Dr. Russell?

25 DR. RUSSELL: Yes.

1 DR. BENEDICT: Ms. Richardson?
2 MS. RICHARDSON: Yes.
3 DR. BENEDICT: Dr. Brackett?
4 DR. BRACKETT: Yes.
5 DR. BENEDICT: Thank you.
6 Second question: If so, based on your scientific
7 knowledge and expertise, and the exposure data presented,
8 would an action level of 50 ppb be sufficient to protect
9 public health?
10 Dr. Hotchkiss?
11 DR. HOTCHKISS: Yes.
12 DR. BENEDICT: Dr. Sigman-Grant?
13 DR. SIGMAN-GRANT: Yes. Can I qualify that?
14 DR. BENEDICT: You may qualify it briefly.
15 DR. SIGMAN-GRANT: I think that the exposure
16 levels for the youngest, for the children, the under one and
17 one-to-two, I think we need more current information in
18 order to establish that level.
19 DR. BENEDICT: Thank you.
20 Dr. Montville?
21 DR. MONTVILLE: Qualified yes, with the same
22 reasoning.
23 DR. BENEDICT: Thank you.
24 Dr. Russell?
25 DR. RUSSELL: Exactly the same, qualified, with

1 the same reason.

2 DR. BENEDICT: Ms. Richardson?

3 MS. RICHARDSON: Yes. My qualifications are
4 different, though. I think we should also look at the
5 elderly, knowing that assisted living and adult day care and
6 nursing homes push apple juice, just like we push it on
7 children, on the elderly. It should be looked at, and also
8 in light of the fact that patulin appears in other fruits
9 and vegetables, I think as we're pushing five a day, that we
10 need to look at if people--and more people are becoming
11 vegetarians--you know, is there a cumulative effect by
12 eating other vegetables and fruits?

13 DR. BENEDICT: Thank you.

14 Dr. Brackett?


15 DR. BRACKETT: Yes.

16 DR. BENEDICT: So that ends this portion, unless
17 you have a salient comment you would like to enter into the
18 record, that you haven't entered so far.

19 Seeing none, we'll just tidy up what's going to
20 happen. We will begin tomorrow at 8:30 in the morning with
21 a public hearing, and we're going to have our discussion of
22 the Dietary Supplement Working Group reports. I know you've
23 all read the briefing book. I encourage you to become very
24 familiar with these issues because we're not going to have a
25 lot of presentation. We're going to have a short

C E R T I F I C A T E

I, **ELIZABETH L. WASSERMAN**, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.

A handwritten signature in cursive script, reading "Elizabeth L. Wasserman", is written over a horizontal line.

ELIZABETH L. WASSERMAN