

elw

ELW

1

DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH ADMINISTRATION
FOOD AND DRUG ADMINISTRATION

FOOD ADVISORY COMMITTEE
VOLUME II

Friday, June 25, 1999

8:37 a.m.

Holiday Inn - Ballroom
4601 North Fairfax Drive
Arlington, Virginia

P A R T I C I P A N T S

Committee Members:

Stephen H. Benedict, Ph.D., Acting Chairman
Thomas J. Montville, Ph.D.
Madeleine J. Sigman-Grant, Ph.D.
Joseph H. Hotchkiss, Ph.D.
Lawrence N. Kuzminski, Ph.D.
Rhona Applebaum, Ph.D.
Robert E. Brackett, Ph.D.
Donna R. Richardson, J.D., R.N.
Robert M. Russell, M.D.

Executive Secretary:

Cathy DeRoeever

Industry Liaison:

Paul Bolar, Ph.D.
Susan K. Harlander, Ph.D.
Michael McGuffin

Consultants:

Edward Croom, Ph.D.
Mary Wang, Ph.D.

FDA Staff:

Robert Buchanan, Ph.D.
Lynn A. Larsen, Ph.D.
Christine Lewis, Ph.D.
William Obermeyer, Ph.D.
Susan Pilch, Ph.D.
Karen Strauss

C O N T E N T S

Introductory Comments, Stephen H. Benedict, Ph.D.	4
Open Public Hearing	
Marc S. Ullman, Esq., on behalf of Traco Labs, Nature Aid, and Citizens for Health	7
Overview, Lynn A. Larsen, Ph.D.	17
Pearson v. Shalala decision, Christine Lewis, Ph.D.	24
Emerging Science, Draft Working Group Report	
Susan K. Harlander, Ph.D.	29
Research Incentives, Draft Working Group Report	
Lynn A. Larsen, Ph.D.	86
Donna Richardson, J.D., R.N., Chair	89
GMPs: Identity Testing and Recordkeeping	
Stephen Benedict, Ph.D., on behalf of Dr. Chassy, Chair	111
Significant Scientific Agreement, Draft Working Group Report	
Stephen Benedict, Ph.D.	166

1 P R O C E E D I N G S

2 DR. BENEDICT: Okay, let's get started. We're
3 going to change a couple of things, and so we ask you to
4 bear with us as we move as rapidly as possible into the real
5 business of the day.

6 Welcome to the second day of our meeting. Today
7 we're going to deal with dietary supplements, and we're
8 going to hear reports from the various working groups that
9 we established several hundred years ago, and on which we
10 have been working very diligently.

11 Let's begin, because we have some new faces around
12 the table, and this vast audience behind us might want to be
13 able to keep us straight, with perhaps a little briefer
14 introduction than we gave each other yesterday.

15 My name is Steve Benedict. I'm from the
16 University of Kansas, Department of Microbiology and
17 Molecular Biosciences. I'm temporarily chairing this
18 meeting in the stead of Ed Brandt, who is the permanent
19 Chair. And let's continue to the right and just all of us
20 introduce ourselves.

21 DR. BUCHANAN: Good morning. Bob Buchanan, Senior
22 Science Advisor, Center for Food Safety and Applied
23 Nutrition, U.S. Food and Drug Administration.

24 DR. MONTVILLE: Tom Montville, Professor and
25 Chair, Department of Food Science, Rutgers, the State

1 University of New Jersey.

2 DR. SIGMAN-GRANT: Madeleine Sigman-Grant,
3 University of Nevada Cooperative Extension.

4 DR. HOTCHKISS: Joe Hotchkiss, both the Institutes
5 of Food Science and Toxicology at Cornell.

6 DR. KUZMINSKI: Larry Kuzminski, from Ocean Spray
7 Cranberries. I'm Vice President of Technology there.

8 DR. LARSEN: Lynn Larsen, ex-Executive Secretary
9 of this committee, or as Steve would probably say, emeritus
10 Executive Secretary, and currently the Director of the
11 Division of Programs and Enforcement Policy in the Office of
12 Special Nutritionals.

13 DR. LEWIS: I am Christine Lewis, with FDA's
14 Office of Special Nutritionals.

15 DR. HARLANDER: My name is Sue Harlander. I am
16 Vice President of Green Giant and Progresso Research and
17 Development, and Agricultural Research, at the Pillsbury
18 Company.

19 MR. MCGUFFIN: I'm Mike McGuffin, co-founder of
20 McZand Herbal, and a board member of the American Herbal
21 Products Association, and of the American Herbal
22 Pharmacopeia.

23 DR. BOLAR: Paul Bolar, Vice President, Regulatory
24 and Legal Affairs, for Pharmavite Corporation, and the
25 Chairman of the Regulatory Affairs Committee for the Council

1 for Responsible Nutrition.

2 DR. WANG: Mary Wang, California Department of
3 Health Services.

4 DR. APPLEBAUM: Rhona Applebaum, Executive Vice
5 President for Scientific and Regulatory Affairs, National
6 Food Processors Association.

7 DR. BRACKETT: Bob Brackett, Professor, Center for
8 Food Safety and Quality Enhancement, University of Georgia.

9 MS. RICHARDSON: Donna Richardson, Howard
10 University Cancer Center.

11 DR. RUSSELL: Robert Russell, Professor of
12 Medicine and Nutrition at Tufts University.

13 MS. DeROEVER: Cathy DeRoeever, Office of Science,
14 and the new Exec Sec to this committee.

15 DR. BENEDICT: And finally at the table.

16 Okay, in an attempt to--actually, with the advent,
17 the arrival of Dr. Lewis, we can go back to the original
18 schedule.

19 DR. LEWIS: Dr. Lewis's security alarm wouldn't
20 let her out of the house this morning. The police are there
21 now turning it off.

22 DR. BENEDICT: That's amazing.

23 DR. LEWIS: Yes, I was impressed, too. I
24 apologize.

25 DR. BENEDICT: But I'm sure you feel much more

1 secure.

2 DR. LEWIS: In a way.

3 DR. BENEDICT: So as you've noticed, Dr. Larsen,
4 who is going to be with us today, is the former Executive
5 Secretary, and as such he's available here to give us lots
6 of advice on these reports.

7 We're going to begin with the open public hearing.
8 We have one person who has requested to speak for about 10
9 minutes, Mr. Marc Ullman of Ullman, Shapiro & Ullman. Is
10 Mr. Ullman in the house?

11 MR. ULLMAN: Here.

12 DR. BENEDICT: Great. We have a lapel microphone
13 there if you'd like to use it. And when you begin to speak,
14 tell us, if you will, who you represent.

15 MR. ULLMAN: You lucky people get to hear from the
16 lawyer first thing in the morning, and I have down here who
17 I represent because I think they'd be kind of unhappy if I
18 neglected to mention them.

19 Good morning. As you just heard, my name is Marc
20 Ullman. I'm a partner in the New York City law firm of
21 Ullman, Shapiro & Ullman. I want to thank you for the
22 opportunity to speak this morning.

23 I am here today on behalf of Traco Labs, a
24 manufacturer and supplier of dietary supplements based in
25 Champaign, Illinois, and Nature Aid, a distributor of

1 dietary supplements based in Irvine, California. I have
2 also been asked to advise you that Citizens for Health, a
3 nonprofit consumer advocacy group that champions public
4 policies empowering individuals to make informed health
5 choices, joins in the ideas and spirit of these comments.

6 Traco, Nature Aid, and Citizens have previously
7 taken an active role in the submission of comments to FDA
8 urging the agency to permit the free flow of truthful and
9 non-misleading health information to the American public. I
10 am here today to once again reiterate this position.

11 While I understand that the committee's primary
12 focus is scientific in nature, we believe that two of the
13 issues that you will be considering today relate to matters
14 which raise significant issues touching upon the First
15 Amendment to the United States Constitution and the free
16 speech rights of companies to communicate important,
17 truthful, and non-misleading information, and the
18 concomitant right of the public to receive that information.

19 Those issues are the committee's consideration of
20 what should be meant by the term "significant scientific
21 agreement" for health claims under Section 403(r) of the
22 Federal Food, Drug and Cosmetic Act; and the question of
23 whether food labels can and should be used to communicate
24 information on emerging science to consumers.

25 We believe that the First Amendment considerations

1 as recently articulated in two Federal court decisions
2 involving the FDA, and the Supreme Court's decision last
3 week in Greater New Orleans Broadcasting Association v. the
4 United States, require that these issues be addressed in a
5 manner that permits the free flow of all truthful and non-
6 misleading information to the American public.

7 While each of these decisions is by itself
8 significant, taken together they send a powerful message
9 that laws, rules and regulations which restrict the flow of
10 information within our society are greatly disfavored, even
11 if the information that is conveyed is primarily commercial
12 in nature.

13 In the first of these decisions, handed down a
14 little less than a year ago, which was Washington Legal
15 Foundation v. Friedman, Judge Royce Lamberth of the United
16 States District Court for the District of Columbia held that
17 FDA regulations which severely restricted the manner in
18 which drug manufacturers could communicate information to
19 health care practitioners concerning the off label use of
20 drug products--in other words, a use other than that for
21 which FDA had explicitly approved the product--violated the
22 First Amendment. In reaching this decision, Judge Lamberth
23 rejected FDA's contention that the regulations were
24 necessary in order to ensure that physicians were not
25 confused by the information disseminated by drug companies.

1 Of particular note, Judge Lamberth stated: "If
2 there is one principle in the commercial speech arena, it is
3 that a State's paternalistic assumption that the public will
4 use truthful and non-misleading commercial information
5 unwisely cannot justify a decision to suppress it. To
6 endeavor to support a restriction upon speech by alleging
7 that the recipient needs to be shielded from that speech for
8 his or her own protection, which is the gravamen of FDA's
9 claim here"--and as an aside, I might add, the gravamen of
10 those who would restrict the flow of truthful and non-
11 misleading information to consumers concerning the health
12 benefits of dietary supplements--"is practically an
13 invitation to have that restriction struck."

14 The second decision warranting the committee's
15 consideration is the ruling of the United States Court of
16 Appeals for the District of Columbia in Pearson v. Shalala,
17 handed down earlier this year. In that case, in which
18 Citizens for Health was a co-plaintiff, the Court of Appeals
19 ruled that FDA's failure to provide any definition of
20 "significant scientific agreement" and its blanket refusal
21 to consider any qualified health claims in connection with
22 its rejection of four proposed claims submitted to it in
23 1993, violated the First Amendment.

24 For our purposes here today, the most significant
25 aspect of the Pearson decision is the Circuit Court's review

1 and rejection of FDA's contention that any qualified health
2 claim is inherently misleading and thus cannot pass muster
3 under the "significant scientific agreement" standard.

4 In reaching this conclusion, the Circuit Court
5 stated: "As best we understand the Government, its first
6 argument runs along the lines that health claims are lacking
7 significant scientific agreement, are inherently misleading,
8 because they have such an awesome impact upon consumers as
9 to virtually make it impossible for them to exercise any
10 independent judgment at the point of sale. It would be as
11 if the consumers were asked to buy something while
12 hypnotized and are therefore bound to be misled. We think
13 that this contention is almost frivolous."

14 Rejecting FDA's petition that it is impossible for
15 a disclaimer or qualification to be presented in conjunction
16 with a health claim in a manner that would render the claim
17 truthful and non-misleading, the Court further explained:
18 "The Government disputes that consumers would be able to
19 comprehend appellant's proposed health claims in conjunction
20 with the disclaimers we have suggested. The mix of
21 information would, in the Government's view, create
22 confusion among consumers, but all the Government offers in
23 support of the FDA's pronouncement that consumers would be
24 considerably confused by a multitude of claims with
25 differing degrees of reliability. Although the Government

1 may have more leeway in choosing suppression over disclosure
2 and response to the problem of consumer confusion where the
3 product affects health, it still must meet its burden of
4 justifying a restriction on speech. Here, FDA's conclusory
5 assertion falls far short."

6 Supporting this conclusion, the Court also cited
7 the Supreme Court's 1994 decision in Ibanez v. Florida
8 Department of Business and Professional Regulation, which
9 concluded: "If the protections afforded commercial speech
10 are to retain their force, we cannot allow rote invocation
11 of the words 'potentially misleading' to supplant the
12 Government's burden to demonstrate that the harms it recites
13 are real and that its restrictions will in fact alleviate
14 them to a material degree."

15 The third and most recent decision which we wish
16 to bring to the committee's attention is the Supreme Court's
17 8-0 ruling, with Justice Thomas concurring in the result, in
18 Greater New Orleans Broadcasting v. The United States. In
19 that case, the Court in an opinion by Justice Stevens
20 overturned FCC regulations barring, under certain
21 conditions, the broadcast of advertisements for private
22 casino gambling over commercial radio and television
23 stations, finding that the regulations violated free speech
24 provisions of the First Amendment.

25 It is particularly noteworthy that in reaching

1 this result, Justice Stevens rejected the notion that casino
2 advertisements were entitled to only the barest of First
3 Amendment protections, as they constituted purely commercial
4 speech, finding instead that "The proposed commercial
5 messages would convey information, whether taken favorably
6 or unfavorably by the audience, about an activity that is
7 the subject of intense public debate in many communities.
8 In addition, petitioners' broadcast presumably would
9 disseminate accurate information as to the operation of
10 market competitors, such as payout ratios, which can benefit
11 listeners by informing their consumption choices and
12 fostering price competition. Thus, even if the
13 broadcaster's interest in conveying these messages is
14 entirely pecuniary, the interests of and benefits to the
15 audience may be broader."

16 In considering the issues before you today, we
17 respectfully submit that when compared to information
18 concerning casino gambling, the notion that the transmission
19 of truthful and non-misleading health information to
20 consumers is not entitled to an even greater degree of
21 protection under the First Amendment is untenable.

22 Moreover, in the New Orleans decision, after
23 acknowledging that the regulatory scheme in question
24 permitted the transmission of virtually identical
25 information through other media, much like FDA's regulatory

1 scheme which permits the transmission of much of the
2 information barred from product labels through other media,
3 Justice Stevens aptly noted that "Even under the degree of
4 scrutiny that we have applied in commercial speech cases,
5 decisions that select among speakers conveying virtually
6 identical messages are in serious tension with the
7 principles undergirding the First Amendment."

8 As a result of these tensions, the Government's
9 inability to articulate a rational basis for making such
10 choices among speakers, the Supreme Court concluded in the
11 New Orleans case, "Accordingly, respondents cannot overcome
12 the presumption that the speaker and the audience, not the
13 government, should be left to assess the value of accurate
14 and non-misleading information about lawful conduct."

15 While each of these decisions by itself stands for
16 the proposition that even commercial speech is entitled to a
17 significant degree of protection under the First Amendment,
18 we believe that the message that the committee must take
19 from these cases as a group is that the First Amendment
20 encourages the free exchange of competing messages within
21 what Jefferson referred to as "the marketplace of ideas,"
22 and that it should be left to the audience to judge the
23 value of those messages.

24 In connection with the issues before the committee
25 today, we submit that these decisions mandate that the

1 development and application of a regulatory scheme
2 permitting the transmission of all truthful and non-
3 misleading health information concerning the benefits of
4 dietary supplements to consumers. Only when they are armed
5 with this information will consumers be able to make truly
6 informed judgment as to what course of action they wish to
7 take in order to maintain their good health.

8 These cases inarguably stand for the proposition
9 that FDA may not place a blanket restriction on qualified
10 health claims, create a standard of "significant scientific
11 agreement" which prohibits all claims except those which are
12 universally accepted within what the agency defines as the
13 relevant scientific community, or prohibit claims which seek
14 to transmit information concerning emerging science on the
15 grounds that consumers will be unable to comprehend such
16 information.

17 Similarly, the teaching of these cases is that FDA
18 may not, absent some compelling rationale, prohibit the
19 transmission of this type of information through certain
20 media such as food or dietary supplement labels, while the
21 very same type of information is available through devices
22 such as third party literature or the media.

23 These principles, the issues before you today to
24 be considered by the committee today, mandate the adoption
25 and application of a definition of "significant scientific

1 agreement" that does not bar the use of claims that transmit
2 what may be vital health information because they employ
3 qualifications. Indeed, we respectfully submit to you that
4 the qualified claim proffered by the D.C. Circuit in its
5 Pearson decision, "Consumption of antioxidant vitamins may
6 reduce the risk of certain kinds of cancers, however, the
7 evidence of this is inconclusive because existing studies
8 have been informed in foods containing antioxidant vitamins,
9 and the effect of these foods in reducing cancer may result
10 from other components in these foods," must be considered an
11 appropriate claim for use on dietary supplement products in
12 order for the regulatory scheme applying "significant
13 scientific agreement" to pass constitutional muster.

14 Similarly, we believe that First Amendment
15 considerations compel the committee to answer the question
16 of whether food labels can and should be used to communicate
17 information on emerging science in the affirmative.

18 We are in the midst of an extremely exciting time
19 in the development of scientific support for the plethora of
20 benefits attributable to the use of supplements. For
21 example, the past three years have seen the publication of a
22 number of studies associating the intake of Vitamin E with
23 reduced risk of heart attacks. Other studies have found an
24 association between garlic and heart health.

25 Furthermore, the National Institutes of Health has

1 issued statements discussing significant clinical studies
2 indicating the likelihood of a strong association between
3 the ingestion of Vitamin D and reduced incidence of hip
4 fracture, and the consumption of between 100 and 200
5 milligrams per day of Vitamin C by healthy adults and a
6 reduced risk of certain cancers.

7 So long as information concerning these findings
8 can be transmitted to the American public along with the
9 message that they are the subject of emerging science, we
10 submit that the labels of food and dietary supplement
11 products can and should be considered appropriate forms for
12 their communication.

13 Thank you.

14 DR. BENEDICT: Thank you, Mr. Ullman. We
15 appreciate your interpretations.

16 Okay, let's move directly to a discussion of
17 emerging science, and just before we do that, we'll ask Dr.
18 Larsen to kind of give us an overview of this process that
19 we've gone through and to more or less just take charge of
20 what's happening.

21 DR. LARSEN: I don't know about taking charge, but
22 I will give you the overview.

23 I want to make a few comments to set the stage for
24 today's discussions in all four cases. You are today
25 receiving reports from four of your working groups, and they

1 are being presented for discussion and decision by you, the
2 Food Advisory Committee. The working groups have put a lot
3 of efforts into their reports, and FDA wants to be able to
4 utilize the results of these reports in our consideration in
5 the agency.

6 The passage of time since some of the charges were
7 given to the committee is another factor that I think begs,
8 that itself begs for closure on these working group efforts,
9 and I think the Chairs of some of these working groups would
10 not argue with that situation. Additionally, in the time
11 since the charges were given, events have occurred that
12 provide some different perspectives on the issues addressed
13 by the charges, and I think our public hearing speaker
14 brought those out, at least in some cases.

15 Consequently, FDA needs these reports so the
16 agency can better address some of these issues. We at FDA
17 are asking that you consider the reports, provide us with
18 your collective thoughts on the reports as part of the
19 record of this meeting, and forward the reports and your
20 considerations to the agency.

21 Now, I mentioned these working groups. Since most
22 of you at the table are new, I thought I better give you a
23 perspective of what the working groups are.

24 The working groups are subgroups of the Food
25 Advisory Committee, augmented with other experts who are

1 either consultants to the Food Advisory Committee--in this
2 case Dr. Wang, who is a former member of the committee, is
3 now a consultant to the committee and a temporary member for
4 the purposes of the GMP working group--or industry liaisons
5 to the committee, as are Dr. Bolar and Mr. McGuffin. Some
6 of the consultants, as I mentioned, are also temporary
7 voting members of the committee.

8 The role of such a group is to do the work
9 necessary in preparing the FAC's response to questions or
10 issues posed to the committee by the FDA. The committee
11 then bases its official response to FDA on the efforts of
12 the working group. This is in contrast to other issues
13 where FDA or some third party brings the--prepares the
14 documents and serves as the focus of the committee
15 deliberations, such as happened yesterday.

16 Although FDA provides the staff support for the
17 working groups, I want to emphasize that the products are
18 the result of the working group's deliberations and
19 represent the best thinking of the group members.

20 We have four issues before us today, and they have
21 at least two origins. The Nutrition Labeling and Education
22 Act, or the NLEA, of 1990 among other things authorized FDA
23 to allow food labels to carry statements that we are calling
24 health claims. These statements describe the relationship
25 between food substances and a disease or a health-related

1 condition.

2 Under consideration today are three working group
3 efforts on health claims. The issues being addressed by
4 these efforts stem from recommendations of the Keystone
5 National Policy Dialogue on Food, Nutrition and Health, for
6 which a final report was published in March of 1996, and
7 this is a copy of the report.

8 FDA requested the committee's assistance on
9 several recommendations in that report, among them the
10 recommendations that focused on the issue of significant
11 scientific agreement and totality of evidence in support of
12 health claims; the recommendations concerned with the need
13 for increased research to support such claims, and on
14 economic incentives to encourage such research. We will be
15 discussing that one this morning. And recommendations about
16 label claims arising from emerging science, and these claims
17 for which there is not yet significant scientific agreement,
18 and that's the other one we will be starting with this
19 morning.

20 We provided you with a copy, this morning, a copy
21 of the charge on the Significant Scientific Agreement
22 working group, and I apologize that we did not get that out
23 to you in your briefing packages. The charges for Emerging
24 Science and Research Incentives Groups were included in your
25 briefing packages in the appropriate tabs, right behind the

1 working group reports.

2 The fourth working group report under
3 consideration today arises from a provision of the Dietary
4 Supplement Health and Education Act of 1994, or as we call
5 it, DSHEA or DSH-E-A, depending on how you want to pronounce
6 it. That act authorized FDA to establish Good Manufacturing
7 Practices for dietary supplements.

8 In 1995, representatives of the dietary supplement
9 industry submitted a suggested outline for such GMPs to FDA,
10 and FDA published that outline in the Federal Register of
11 February, 1997, as an Advanced Notice of Proposed
12 Rulemaking. The agency subsequently then asked the FAC--and
13 I'm using "FAC," I forgot to mention, that's the Food
14 Advisory Committee--asked the FAC to provide assistance on
15 two areas that generated significant comment: identity
16 testing of dietary supplement agreements, and records to be
17 kept as documentation of GMPs. The charge to the committee
18 regarding GMPs has been incorporated into the preface of the
19 working group report, and so you should have that before you
20 as well.

21 Now, the procedure that I anticipate us following
22 today is, it's our intent to address each working group
23 effort in turn and to obtain your concurrence or commentary
24 on each before tackling the next. As you've already heard,
25 at least one recent court decision has a bearing on two of

1 the reports, and Dr. Lewis will provide you with some
2 comments on that decision as preparation for your discussion
3 this morning.

4 We also included the text of the court decision in
5 your briefing materials. And we will do this as a preface
6 to the Emerging Science Report, but bear in mind that this,
7 as you've already heard, has a bearing on the Significant
8 Scientific Agreement Report.

9 The Chair of each working group, or in one case
10 the Acting Chair, will give you an overview of the group's
11 report and comment on the working group's effort. FDA staff
12 or I will provide any comments that may have been received
13 from working group members who are not present today. Those
14 who are present will be invited to participate in your
15 discussions of that particular report and, along with the
16 Chairs, to answer any questions you may have.

17 For two of the reports, I also have some comment
18 on the material that has been included in what I call the
19 draft committee report, which is in addition to the working
20 group report.

21 At the conclusion of your discussions on each of
22 these reports, we are asking you to determine, make one of
23 three determinations: (a) that you elect to transmit the
24 report to FDA as such, with of course any word "draft" on it
25 deleted; (b) elect to transmit it to FDA with some editorial

1 changes which will be captured by the transcript; and/or (c)
2 transmit it to FDA along with additional commentary that
3 modifies or may modify the recommendations or conclusions of
4 the working group.

5 In the case of the Emerging Science and Research
6 Incentives, I've already put some words into your mouths as
7 tentative text, and I would ask that in those cases you
8 consider that text, decide whether you want to have it in or
9 delete it or modify it. And in those two cases, depending
10 upon the results of your discussion and deliberation, I
11 would likely end up having to circulate those to you to make
12 sure what you've said is captured correctly and they come to
13 FDA in the appropriate format, then.

14 Of course, there is a fourth option. I hesitate
15 to mention it, but the fourth option is that you reject the
16 working group report and send the working group back to
17 their tasks and come back. And I would hope that you
18 wouldn't do that because FDA needs it and the working groups
19 are tired. They want to get on with other things. But that
20 is an option that I need to provide to you.

21 I think that provides the comments that I wanted
22 to make in setting the stage for your discussions. I'll
23 give you an opportunity for any questions at this time, and
24 if there are none, we'll go right to Dr. Lewis's little
25 presentation.

1 [No response.]

2 DR. LARSEN: Okay.

3 DR. LEWIS: Good morning. I am Christine Lewis,
4 with FDA's Office of Special Nutritionals, and the court
5 decision known as Pearson v. Shalala is about disclaimers on
6 health claims, and I need to start with a disclaimer for
7 myself. I am not a lawyer, I am not emerged in the law, and
8 so therefore I am not capable nor is it possible for me to
9 help with the interpretation of this court decision.

10 What we intend to do today, since all decisions on
11 Pearson, both at the agency and the Department of Justice,
12 are still in a state of flux, is simply, as the old
13 television show Dragnet used to say, "just the facts."
14 We're just going to go over the facts of the Pearson case,
15 and I think it's something you need to be aware of because,
16 as Dr. Larsen has indicated, its presence is an issue both
17 for the Emerging Science issues that will come up today as
18 well as the Significant Scientific Agreement Report.

19 Pearson v. Shalala, the court decision was filed
20 by Pearson and Shaw but it's been abbreviated to Pearson v.
21 Shalala, was a court decision made in January of this year.
22 It came through the U.S. Court of Appeals for the D.C.
23 Circuit. The lawsuit itself was filed on behalf of
24 marketers of dietary supplements, and it stems from the fact
25 that during the implementation of NLEA in 1993, FDA rejected

1 four possible health claims, specifically for dietary
2 supplements.

3 According to the lawsuit known as Pearson v.
4 Shalala, FDA should have allowed the following claims for
5 dietary supplements in 1993: the antioxidants and cancer;
6 fiber and colorectal cancer; Omega-3 fatty acids and
7 coronary heart disease; as well as a claim concerning .8
8 milligrams of folic acid in a supplement being more
9 effective in reducing the risk of neural tube defects than a
10 lower amount in foods in common form.

11 The decision itself in Pearson v. Shalala
12 indicated that FDA acted inappropriately by, first, failing
13 to define "significant" in the standard of significant
14 scientific agreement; and that FDA also violated free
15 speech, that is the First Amendment, by not considering
16 disclaimers or what some call qualified claims for health
17 claims.

18 Concerning the concept of disclaimers, the court
19 ruled that the First Amendment does not permit FDA to
20 categorically reject the use of disclaimers on health
21 claims. In essence, it suggested that the agency needed
22 evidence to show that disclaimers do not prevent consumer
23 deception.

24 A further analysis of this by the court indicated
25 that the First Amendment protects only lawful and non-

1 misleading commercial speech. FDA had argued in the case
2 that claims without significant scientific agreement are
3 inherently misleading. The court suggested that without
4 evidence, they were only potentially misleading and
5 therefore could be fixed by the use of disqualifiers or
6 disclaimers.

7 The court said that the government's interest in
8 protecting public health and preventing fraud, that there
9 was no evidence of a threat to public health vis-a-vis these
10 claims, and that in effect more information, not less
11 information, was in the interests of consumer protection.

12 The court did not conclude that all claims may be
13 fixed by the inclusion of a disclaimer. They deferred to
14 FDA the determination of whether a claim is so misleading it
15 could not be made non-misleading by a disclaimer.

16 Concerning the standard of "significant scientific
17 agreement," the court ruled that FDA must clarify the
18 standard vis-a-vis the so-called Administrative Procedures
19 Act, APA. They said their reading of the APA required that
20 FDA either promulgate a regulatory definition of
21 "significant scientific agreement" or define it on a case-
22 by-case basis.

23 The court indicated that denying the four claims
24 without defining "significant scientific agreement" was an
25 arbitrary and capricious act by the agency under the APA.

1 The court indicated that FDA should explain what it means by
2 SSA or at least what it does not mean.

3 There was a third prong to the court decision,
4 which was the requirement that FDA consider those original
5 four claims. The court requested--which is a kind word, I
6 suppose--that we review the four claims that were the
7 subject of the lawsuit. They need to be considered as
8 claims for use on dietary supplements, and the agency should
9 consider whether each claim would be non-misleading if it
10 carried an appropriate disclaimer. If that were the case,
11 then the agency would need to authorize this qualified claim
12 in the case of the four relationships for dietary
13 supplements.

14 In March FDA--actually, it's the DHHS in
15 conjunction with the Department of Justice--went back to the
16 court and requested a rehearing. The agency gave as its
17 reasons their belief that the court misconstrued the
18 government's in regulation of health claims on dietary
19 supplements, and that the court misinterpreted the APA. If
20 the standard for a health claim is that it meets SSA, FDA
21 indicated that the health claim must be based on SSA.
22 Remember, the court had said in the absence of SSA, FDA must
23 consider the use of a health claim that is qualified or, as
24 they sometimes referred to it, is "fixed."

25 At this point in time the situation is unclear.

1 The government argued or FDA has indicated that their
2 interest in public health goes beyond preventing deception.
3 Their interest is based on ensuring the products are safe
4 and effective when they bear a health claim, and therefore
5 only scientifically valid claims can be relied upon by
6 consumers.

7 In their request for rehearing, FDA vis-a-vis DHHS
8 indicated that the complexity of the issue of significant
9 scientific agreement eludes the possibility of a precise
10 definition, and they further argued that the APA does not
11 require the high level of specificity as suggested by the
12 court.

13 As I have indicated, we are still in a state of
14 flux on the issue of Pearson v. Shalala. The D.C. Court
15 refused to rehear the request, and this in effect means that
16 the Department of Justice, in conjunction with DHHS, has
17 until July 1st to decide whether they would like to seek a
18 hearing in the U.S. Supreme Court. This is known as filing
19 a petition for certiorari.

20 And so therefore, within a week or so, the
21 decision will have been made on the government's part
22 whether to see redress for the Pearson v. Shalala claim in
23 the Supreme Court. Clearly, the issues that were raised,
24 the notion of science being less than significant scientific
25 agreement and what it means for wording of a claim, is one

1 that will certainly come up today.

2 So those are the facts of the case as we have them
3 at this time. Final decisions have yet to be made.
4 Consider this a backdrop for what you're talking about
5 today.

6 DR. BENEDICT: Thank you, Dr. Lewis.

7 So next we go directly to Emerging Science.

8 DR. LARSEN: Before, I just want to make one
9 comment.

10 DR. BENEDICT: Certainly.

11 DR. LARSEN: This was presented for your
12 background and information. As Dr. Lewis said, we have no
13 lawyers here. We're not here to address the legal issues
14 involved. We just want to provide you the background, so
15 that you understand how this has a potential impact on the
16 two working group discussions.

17 DR. BENEDICT: So now we'll hear from Dr.
18 Harlander, who will introduce some of the questions that the
19 working group had to deal with, and will initiate the
20 discussion soon after that.

21 DR. HARLANDER: Thank you. I was Chair of the
22 Emerging Science Working Group, and I would first like to
23 discuss the charges to our working group that emerged out of
24 the Keystone dialogue.

25 The first charge was, given that emerging science

1 by definition lacks significant scientific agreement, what
2 criteria should guide the agency in disseminating such
3 information in the unregulated arena? What criteria should
4 be used to determine when emerging science can be used on
5 the food label?

6 The second charge was to identify and prioritize
7 options for implementing the recommendations. Our working
8 group--and the members are listed in the summary--met on
9 three different occasions to discuss this charge. We had
10 some outside consultants that worked with us to provide
11 additional information for our committee.

12 We believed we needed to understand DSHEA better,
13 and some of the regulations around dietary supplements.
14 Also, FDA had conducted some consumer research on food
15 labels, and that was very revealing to our committee as
16 well.

17 The first thing that we talked about was consumers
18 and their perceptions or their understanding of nutrition
19 information, and the information that was provided through
20 consumer research by FDA indicated that consumers did not
21 know how to interpret and utilize the information on food
22 labels that are currently supplied to them. Something quite
23 revealing to us was that consumers were unable to
24 distinguish between health claims, nutrient content claims,
25 and structure function statements as they appeared on food

1 labels currently.

2 In fact, as a representative of industry on this
3 panel, I was quite surprised to learn that consumers trust
4 the back of the label, the nutrition information panel,
5 because they believe that that is regulated by FDA, but they
6 did not believe that the front panel was regulated by FDA.
7 They thought that that was whatever the food company wanted
8 to put on the label. So there is quite a bit of confusion
9 about just the current food labels as kind of a backdrop to
10 this.

11 We then attempted to decide whether or not
12 emerging science should be considered a fourth category of
13 claims that could go on packages, and we felt we need to
14 come up with a definition for emerging science, and as you
15 have seen previously today, that the upper limit on emerging
16 science would be significant scientific agreement. And so
17 we did have a number of members on our committee that also
18 sat on the Significant Scientific Agreement Committee, so we
19 could see could see how they were progressing on their
20 definition.

21 And where we really struggled as a committee was
22 putting a lower limit on the definition for the purposes of
23 labeling. It was very difficult and we had a lot of
24 discussion around how many studies, what quality of the
25 studies would dictate the lower limit of what would be

1 considered valid emerging science information that could
2 somehow be captured and communicated to consumers.

3 We did put forward an initial definition, after
4 several hours of discussion, and I'll read it for you, and I
5 think here somewhere that Lynn might step in. I'm not sure.
6 But emerging science, this is what our committee came up
7 with:

8 "Emerging science is one or more research findings
9 pertaining to a food substance's consumption by humans that
10 are judged, by a panel of appropriately qualified experts,
11 to indicate, after consideration of all valid reports
12 pertaining to the substance, that the general population, or
13 some specific segment of the population, will probably
14 achieve a significant health benefit without significant
15 adverse effects when the substance is consumed in a
16 reasonable amount over a reasonable period."

17 I don't know, Lynn, do you want to talk about
18 that?

19 Following, once we sent out the minutes to our
20 committee members, a couple of people raised the issue about
21 the word "probably" and that we might want to consider
22 "possibly." And so we didn't, I believe, reach a final
23 consensus on that.

24 DR. LARSEN: No. I wanted to wait until we got to
25 the advisory committee, since we were at that stage, so we

1 will leave that to you to decide whether you want to
2 transmit that word change as an amendment to the working
3 group report.

4 DR. HARLANDER: And there are several significant
5 words in the definition, and so it really was quite
6 thoughtfully put together.

7 I think it's important that the committee decided
8 that there needed to be some expert panel that would be
9 involved in the assessment of the emerging science, and
10 this, we had several proposals on what that group might be
11 or be constituted by, and those proposals of who might
12 constitute that expert group are in our minutes.

13 I will say that we were unable to reach consensus
14 on how we would be able to communicate emerging science on
15 food labels in truthful and non-misleading ways. We did
16 have members that felt that it was not appropriate, that it
17 was inappropriate to place information about emerging
18 science on food labels. One member had qualified support
19 for that, as long as appropriate, I guess what would be
20 called disclaimers now, could also be communicated at the
21 same time. And there were other members that were very
22 positive and supportive of placing emerging science
23 information on food labels.

24 We did talk with individuals from the Federal
25 Trade Commission because they are very involved in

1 advertising, and they brought us a perspective as well. And
2 then we talked a little bit about off-package labeling, and
3 I can tell you that there was a little more consensus around
4 communicating that kind of nutrition information and
5 emerging science information in off-label formats, but again
6 there was not consensus on that issue. Some believed that
7 that information, because it gets disconnected from the
8 product or could potentially be disconnected from the
9 product, consumers would not have the appropriate
10 information at the right place in order to have a true
11 understanding of the implications.

12 We did talk also about emerging sciences in arenas
13 not regulated by FDA. And again, all of the working group
14 members were supportive of FDA's current efforts to
15 disseminate truthful and educational information, but
16 recognized that resources are limited for FDA to really
17 communicate across all of the emerging science nutrition
18 information that is coming out.

19 We also talked a little bit about emerging science
20 and research incentives, and again there was disagreement
21 about what research incentives might provide. Some believed
22 that it would encourage more research into emerging science
23 areas, and others felt that it might discourage future
24 research in this area that was required.

25 So, in conclusion, I will say that our Emerging

1 Science Working Group could not reach general consensus on
2 allowing statements with less than "significant scientific
3 agreement" on food labels or in labeling, and consequently
4 as a group we are unable to offer recommendations on this
5 question. FDA may wish to consider the variety of
6 suggestions, that I have been able to cover here, in our
7 minutes.

8 We are going to put forward our definition of
9 "emerging science" for the committee's consideration, and
10 again we can discuss whether or not we want to substitute
11 the word "possibly" for "probably."

12 With respect to FDA's activities in the
13 unregulated arena, we obviously believe that we should have
14 FDA continue to expand the availability of information for
15 consumers on emerging science issues as part of the agency's
16 world wide web site.

17 We did consider information on how we might be
18 able to get valid nutrition information out to the public,
19 and this could be accomplished by a number of means; by
20 leveraging groups that are already out there, like the
21 International Food Information Council and food editors, in
22 getting valid consumer information to the public.

23 And FDA is encouraged to reissue and update a
24 brochure that was reviewed by our committee on--and we kind
25 of referred to it as the "quackery" brochure, but it gave

1 information to consumers on how they can be healthy skeptics
2 around scientific information that's reported in the press.
3 What we found is that consumers are very confused by one day
4 beta carotene is very good for you in the news media, and
5 the next day it's associated with some negative effects, and
6 that consumers need to take more responsibility and be given
7 the tools in order to evaluate emerging science.

8 There are a number of members from my subcommittee
9 here. If you have anything to add to our report, I invite
10 you to do that right now.

11 DR. BENEDICT: Does anyone from the working group
12 have a comment before we begin the actual discussions?

13 [No response.]

14 DR. BENEDICT: Seeing none, does Dr. Larsen or Dr.
15 Lewis have comments they would like to add?

16 DR. LARSEN: I have one additional comment. In
17 addition to the response from one member, or at least one
18 member, about using the word "possibly" instead of the word
19 "probably" in the definition, another working group member
20 pointed out a minor editorial change that I'll take care of.

21 They or she also pointed out that at the very end,
22 in the recommendations of the working group report, we talk
23 about restarting a food editors conference. What is a food
24 editors conference? It just sort of pops up in the report.
25 There was discussion about it, but she suggested that

1 perhaps on page 9 of the working draft report we might put
2 in a sentence or two that indicates FDA used to have these
3 meetings and what their purpose was, and that would then at
4 least support the fact that there's a conclusion regarding
5 such meetings. I'd appreciate your comments on that.

6 I would say that we can include these, we can
7 actually modify the working group report with respect to
8 those two comments, or we can include your statements
9 regarding those possibilities in the, what I'd call part two
10 of the committee report. As you noticed, I've drafted these
11 first two documents as a committee report, with the working
12 group report being part three, your commentary being part
13 two, and then part one just simply being what the committee
14 overall decided; the fact that you met today and actually
15 made a decision one way or the other.

16 But those are the only other comments that we got
17 back from the working group at that stage, at this last
18 stage of their report.

19 DR. BENEDICT: Thank you, Dr. Larsen.

20 Let's just establish the format by which we can
21 carry out this discussion, then. I'd like for it to be
22 similar to what we did yesterday, and that would be, the
23 object of the discussion is for the Food Advisory Committee
24 to ask questions or make statements regarding the issues at
25 hand, and everyone else around the table is here to provide

1 supporting information.

2 And so the format will be, I think, that if you're
3 a member of the FAC and you would like to ask a question or
4 make comments, let us know and Ms. DeRoever will write our
5 names down in order, and then when the time comes, address
6 your question to whomever. When you're responding to a
7 question or making a comment, please be sure to state your
8 name for the record so that the transcript will reflect your
9 wisdom.

10 And at the end of an open discussion, then we'll
11 ask questions about "possibly" versus "probably" and some of
12 the other things. And we'll ask the question finally about
13 will we transmit it as is, will we transmit it editorially,
14 will we transmit it with comments that are reflected in the
15 transcript, without figuring out where they will go at this
16 point.

17 And let me just say that what I would like for us
18 to avoid is, unless it's really crucial, wordsmithing the
19 document as we go through. If you have comments of a
20 wordsmithing or punctuation or even spelling nature, just
21 wait until you get home and transmit that to us
22 individually, and of course the FDA will be overjoyed to
23 incorporate those into the final document.

24 Dr. Larsen?

25 DR. LARSEN: You mentioned who else on the

1 committee, on the working group, is here. Unfortunately,
2 Dr. Harlander, Dr. Benedict, are the only listed members who
3 are here from the working group today. I believe Dr. Wang
4 participated in one or two of the working group discussions,
5 so she might have her perspective. So those are the three
6 people, but primarily Dr. Harlander and Dr. Benedict, to
7 whom you may address your questions of the working group's
8 activities.

9 DR. BENEDICT: Thank you. All right, so let us
10 get started, and let's note that Dr. Ed Croom has just
11 joined us. As soon as you plop down, introduce yourself for
12 us, Ed, and then we can go on with our discussions.

13 DR. CROOM: Ed Croom, University of Mississippi.
14 Actually I was just hearing the gen on traditional medicines
15 on the way over here, so thanks.

16 DR. BENEDICT: Thank you.

17 All right, who has questions?

18 DR. LARSEN: Dr. Montville has a question.

19 DR. MONTVILLE: Tom Montville. I'm new to the
20 committee, so before we get into "possibly" or "probably,"
21 in the first part of the claim, A may reduce the incidence
22 of B, in the hard sciences if you put "may" in, it's a
23 weasel word big enough to drive a truck through. Is there a
24 legal definition of "may" in terms of possibly, probably,
25 might, does?

1 DR. BENEDICT: Dr. Lewis?

2 DR. LEWIS: I can give you a little background on
3 it relative to health claims. The "may" is not a reflection
4 of the quality of the science. Rather, the "may" was
5 included by the agency to connote the notion that it's a
6 risk reduction for a population, and that you as an
7 individual may or may not benefit.

8 So we need to be very clear, that wasn't--there is
9 assumed to be, in the case of health claims as they are now,
10 significant scientific agreement, so "may" is not meant as
11 that kind of qualifier. It's meant to say this is not a
12 magic bullet for an individual. Now, that's perhaps
13 slightly different than how the Emerging Science Group wants
14 to approach it, but that's how the agency has used it in the
15 past.

16 DR. BENEDICT: So does that take care of your
17 question?

18 Dr. Russell has a question.

19 DR. RUSSELL: Dr. Russell from Tufts. I would
20 like to--I think this is to Dr. Strauss--I would like to
21 hear some discussion about what "reasonable amount" means,
22 or what was the thinking of the committee on that. A
23 reasonable amount of a food product that takes--I mean, if
24 somebody had a total carrot diet or something, that would be
25 unreasonable? I'm not quite sure what it means.

1 DR. BENEDICT: This is Dr. Harlander.

2 DR. RUSSELL: Dr. Harlander. I'm sorry.

3 DR. HARLANDER: Yes, we did have discussion around
4 that, and we were considering it as a reasonable amount of
5 that food. Okay? So to have an efficacious dose in a
6 reasonable amount of the food in order to make an emerging
7 science claim.

8 DR. RUSSELL: I guess there's a lot of
9 subjectivity to that--

10 DR. HARLANDER: Absolutely, absolutely.

11 DR. RUSSELL: --or flexibility, and that was
12 purposeful, I gather.

13 DR. HARLANDER: Yes.

14 DR. RUSSELL: The other thing I wanted to ask you
15 was, it reads "one or more" research findings, and I think
16 it would be very difficult on one research finding to say
17 that there was something probably going to happen.

18 DR. HARLANDER: Yes. We had--again, that was a
19 cause for lots of discussion. I guess what we came to was
20 that if you had one really well designed, double blind, you
21 know, the best designed study, and we haven't seen one of
22 those yet but it's possible that one could be there, that
23 demonstrated a dramatic effect, that that was more important
24 than smaller studies that were not as well designed.

25 So I can tell you there was a lot of discussion

1 around how much, and we ended up coming back to the quality
2 of the science versus the number of studies. But I can tell
3 you it was a pretty controversial discussion.

4 DR. RUSSELL: My feeling, my own feeling, is that
5 --and I know the issues around this somewhat--that if you're
6 talking about one study, it's very hard to use the word
7 "probable," no matter how beautiful the study is.

8 DR. HARLANDER: Right, and that's what came down
9 to the discussion about, you know, is "probably" too strong
10 a word to include in emerging science definition?

11 DR. BENEDICT: And let me just interject one other
12 thing. If you recall, the "significant scientific
13 agreement" subsection is going to essentially set the upper
14 limit.

15 And so as we looked at it from the two committees,
16 anything that doesn't achieve that will fall below, and that
17 one beautiful study might not get you into scientific
18 agreement and allow you to make a health claim, but it might
19 get you close enough, if emerging science is to be
20 considered to be in that category. It's almost a fall down
21 rather than a build up.

22 DR. RUSSELL: Yes, I understand that. It's just
23 that I think that the word "possible" then is much more
24 appropriate than "probable."

25 DR. BENEDICT: Thank you.

1 Dr. Applebaum has a question next.

2 DR. APPLEBAUM: And if Dr. Harlander or Dr. Lewis
3 or even the Chair could help me with "reasonable amount,"
4 and if this is in conflict or potential conflict with a
5 serving size as defined currently by FDA.

6 DR. HARLANDER: I don't think we believed that it
7 needed to be a reasonable amount--well, I don't know--in one
8 serving. I mean, you might have to consume several servings
9 of that per day in order to get the amount, but we didn't
10 try to put it within the context of serving sizes.

11 DR. APPLEBAUM: Can I go on?

12 DR. BENEDICT: Sure. Please continue.

13 DR. APPLEBAUM: And another question I have is in
14 terms of--and, you know, I read it in the back and I just
15 want to make sure in terms of the transcript or for the
16 history of this, of this meeting, which the Chairman
17 mentioned could be the substance of many theses in the
18 future, if not dissertations.

19 DR. BENEDICT: Make it clear that was "theses".

20 DR. APPLEBAUM: Pardon?

21 DR. BENEDICT: Theses.

22 DR. APPLEBAUM: Theses, theses. Theses. But the
23 panel of appropriately qualified experts, this was, in terms
24 of the discussions that occurred, this did not in any way,
25 shape or form translate to a paper that's submitted for

1 publication and the reviewers could constitute a panel of
2 appropriately qualified experts, because you did say the
3 committee felt very strongly or the task force felt very
4 strongly that FDA had to be part of that panel.

5 DR. HARLANDER: That's right. That's right. We
6 would--I don't believe, I hope I speak for the committee,
7 that we would consider reviewers of a manuscript as
8 constituting this expert panel.

9 DR. APPLEBAUM: Okay, and my last question, it's
10 just a question that I have in terms of emerging science
11 based on one, and again this is a point for the record and
12 clarification. It does not suggest sometimes the practice
13 where they want to look at intriguing hypotheses that might
14 be displayed in a current or in a particular study as being
15 reflective of emerging science. And these intriguing
16 hypotheses sometimes come out of observational studies.

17 It's a probably--I mean, I don't have any answer,
18 so that's why I just want to make sure that--

19 DR. LEWIS: I don't--I was not actively involved.
20 I was initially. And I can't clarify the discussions. But
21 if you take a look at the nature of the evidence used for
22 health claims, there would be theoretically nothing that
23 would preclude a health claim getting all the way to
24 significant scientific agreement on epidemiological
25 evidence, or as you say, observational. So your point is

1 well taken.

2 In the nature of diet/health relationships,
3 sometimes observational data is all that you have, and it
4 may be of high quality. So again you're back to the
5 standard being relatively flexible because of the nature of
6 the relationships, and the agency has been careful to say
7 that observational data is not necessarily weak and clinical
8 data necessarily strong. Certainly the more specific the
9 claim, the more it would appear you would need clinical
10 data, but that's an issue that's open for debate.

11 DR. BENEDICT: And we could refer you to the
12 diagram at the end of "significant scientific agreement" for
13 some of those.

14 DR. LEWIS: Yes, I agree. I agree.

15 DR. BENEDICT: Dr. Larsen would like to comment.

16 DR. LARSEN: I think your question gets back to
17 the very first part of the definition, "one or more," and
18 there was a lot of discussion about that very issue. Where
19 does it--where is it, and what constitutes--an intriguing,
20 not thought but--well, let's call it an intriguing thought,
21 that this may possibly in some fashion or another have
22 benefit, and where does it become truly emerging science?

23 The lower boundary was as difficult for the group
24 as the upper boundary, which they dumped off onto the
25 Significant Scientific Agreement Working Group,

1 appropriately. But the lower boundary was an extremely
2 difficult concept, as to where does that really start?
3 Where is it just something just sort of on the horizon, but
4 not even appropriate for emerging science in this kind of a
5 milieu, and where is it more appropriate--where can it
6 appropriately be called emerging science and have some merit
7 to appear in some fashion?

8 You'll notice the charge addresses both the
9 unregulated arena, as we call it, and the food label itself,
10 which is where a lot of the attention is focused.

11 DR. BENEDICT: Do you have additional questions?

12 DR. APPLEBAUM: It's on the probably/possibly, but
13 you're going to hold that til after.

14 DR. BENEDICT: Well, if you have something to say,
15 we'd love to hear it.

16 DR. APPLEBAUM: Well, I agree with the comments
17 that have gone before me, or the commenters who have gone
18 before me, that "probably" is stronger than "possibly." And
19 in my former life this was two very important words that we
20 had major arguments over, and I won't go any further.

21 But the way I clarified it is, "probably" is
22 "can", "possibly" is "may." It's the difference between
23 being likely to occur versus--or likely to happen versus
24 being able. And I know it's like a when is "is" is type of
25 thing, but it's--when you're dealing with emerging issues

1 and emerging science and emerging benefits, you could get
2 crosswise with each other unless you have, you know, a firm
3 view of those two terms.

4 DR. BENEDICT: This is not reflective of the
5 entire committee, but one of the possibilities was that the
6 definition would serve both the purpose of emerging and
7 significant scientific agreement, depending on whether you
8 inserted "possibly" and "probably," which is sort of what
9 you're, I think, saying.

10 The next person on our list is Dr. Hotchkiss.

11 DR. HOTCHKISS: Thank you. The draft report
12 indicates that the working group could reach no consensus on
13 allowing statements with less than "significant scientific
14 agreement." I'd like to hear--which tells me that there
15 were some who would support that and some who would not
16 support that, and probably a fair amount of discussion.

17 As I go through this, I couldn't see a clear
18 rationale of the position of those who would allow
19 statements with "less than significant scientific
20 agreement." I wonder if somebody from the group could, for
21 me, paraphrase or at least lead me through the rationale
22 that would allow such statements on labels.

23 DR. BENEDICT: Have we a volunteer?

24 DR. HARLANDER: Go ahead, Lynn.

25 DR. LARSEN: By default. I'm not sure we can

1 fully answer your question. It was very clear that members
2 of the working group, some members of the working group came
3 from a very hard science base and had the view that this
4 information, to be presented on the label, had to have a
5 clear significant scientific agreement. I mean, they were
6 holding to that, to that position.

7 Others were much more down the road in the line of
8 what we heard in our public hearing speaker this morning,
9 that there is and should or can be a mechanism by which
10 consumers can get this information, and the food label is an
11 appropriate place to do that. They felt that with the
12 proper wording of such statements, that the consumers would
13 be able to identify what the meaning of the words were and
14 be able to interpret that in their own--for their own
15 purposes.

16 So I don't know if I can clarify it any more than
17 that, other than that there was this dis--in some senses
18 disparate views expressed by members of the working group,
19 and we see it constantly, I guess, and we are--

20 DR. HARLANDER: I will say, Joe, that we did talk
21 about that these statements need to be accurate and
22 balanced, but you'll see in the report that we were going to
23 leave it up to FDA to determine that, because we did throw
24 out a whole bunch of statements and we did not agree that
25 they were accurate and balanced.

1 And so I think that's where a lot of our
2 discussion was, is that what sounded accurate and balanced
3 to some members of the group, did not to other members of
4 the group, and so we could not reach consensus on how you
5 would communicate in a truthful, non-misleading, accurate
6 and balanced way, emerging science information, particularly
7 in the limited space on a food label.

8 DR. BENEDICT: Let me just--

9 DR. HARLANDER: It would be difficult to reach
10 that.

11 DR. BENEDICT: Yes. One of the other series of
12 considerations was or were, or some were, that the FDA
13 unfortunately suffers from the problem that the media will
14 report something that is in fact probably emerging, the
15 public will pick up on that, and then later it will be
16 reversed and the public must now disbelieve that.

17 And the questions that arose dealt with how can we
18 maintain the credibility of the FDA when the FDA says there
19 is significant scientific agreement and this is okay. But
20 is it possible, because the consumer is going to deal with
21 emerging science that they hear, no matter where, is there a
22 way for the FDA to put something on the label to say we
23 think this is actually, really emerging science and not
24 someone else's hypothesis in order to sell a product.

25 And the discussion centered around how can you

1 convey to the consumer that the FDA has placed its own
2 imprimatur on this particular emerging event, and by
3 default, because the other one has nothing on it, the
4 consumer should perhaps beware a little bit. Because of
5 course there'll be some things that are much more ephemeral
6 than others.

7 And so we tried to come to some sort of an
8 agreement about how we could protect the consumer from
9 frivolous things, and yet give them some information which
10 would essentially say "It's entirely likely that this is
11 going to help you, but we can't promise, and we may take it
12 back later," as opposed to, "No way is this going to help
13 you." And so it's a real problem, when you think about it,
14 because if the FDA has to support solid claims and has to
15 dismiss others, somewhere in between there is a "probable"
16 or a "possible" as we were discussing. And that was what we
17 were having to deal with.

18 Dr. Larsen has a clarification.

19 DR. LARSEN: I want to second your comment, I
20 guess I would say, about the way some people viewed the food
21 label, and I think Dr. Harlander mentioned it earlier.
22 Consumer surveys indicate that consumers trust at least
23 certain aspects of the food label because they believe that
24 FDA regulates it.

25 And the members of the working group who felt

1 emerging science didn't belong on the food label wanted to
2 maintain that credibility, if you will, of what the food
3 label says. The other members, who felt emerging science
4 had a place on the label, felt that the credibility could be
5 maintained under the right circumstances.

6 DR. BENEDICT: Dr. Hotchkiss, please continue.

7 DR. HOTCHKISS: Well, thank you. That goes
8 somewhat to clarifying my confusion, but I'm still a little
9 lost about this. This is the way it seems to me, and I'm
10 probably misinterpreting this, but you have defined, I think
11 nicely, emerging science, so you have an idea about what
12 emerging science is. And assuming that everyone can agree
13 about that, that's good.

14 You have proposed how to decide if a finding in
15 emerging science is supported. You have scientific
16 agreement, you have a rather detailed proposal, how to form
17 a panel and what consideration should be taken in that.

18 So in a practical world I'm trying to think, if
19 food component X has some data on it, and food component X
20 may be emerging science or fits the definition, a panel is
21 going to be convened to view the weight of evidence and the
22 value of that evidence and pass judgment on it. So the
23 panel may be convened and the panel may say, "Well, this is
24 intriguing, but certainly we can't come to any kind of
25 consensus that there is a meaning to this," and then we fall

1 back to, "Well, we can't set lower limit so you can put it
2 on a label anyway." And you've gone through an exercise
3 that, as I kind of envision it--and I'm probably wrong--
4 seems to be rather meaningless, if you can't set a lower
5 limit to what "significant scientific agreement" is.

6 Am I missing something here?

7 DR. BENEDICT: I don't think you're missing
8 anything. I think that setting the lower limit of
9 "significant scientific agreement" we tried to outline in
10 the SSA section, and we think that's probably going to be
11 pretty straightforward, except it will be on a case-by-case
12 basis, depending on the strength of the various studies with
13 which we're presented.

14 But, as you say, setting a lower limit on emerging
15 science will probably be more subjective, and we'll convene
16 the committee and the committee will say, "Well, it's not
17 SSA, so is it emerging science?" And if the committee of
18 disinterested scientists, who are all qualified to deal with
19 it, conclude that there's really no basis for even
20 pretending to make this claim, then it will not be emerging
21 science.

22 It's a really crummy way for me to answer your
23 question, I think, that it's going to be a committee of
24 experts, as many as--as Dr. Lewis told me the other day--as
25 many as we can cram into a room, to argue it out over a

1 period of time and make the decision. And it will be people
2 as you see around the table, who will in all good conscience
3 come up with an answer.

4 DR. HOTCHKISS: I'm sorry, but--okay, I understand
5 that. I'm reading, and it says that "the working group
6 could reach no consensus on allowing statements with less
7 than significant scientific agreement on food labels and
8 labeling."

9 Are you saying that if a panel of qualified
10 experts decides that it cannot agree, that that would--
11 because the lower limit has not been set, then at least by
12 what we have here, it could still go on a label?

13 DR. BENEDICT: Would the Chair or Dr. Larsen like
14 to comment?

15 DR. HOTCHKISS: That's what really, I guess, what
16 I'm trying to say, is that's the way I interpret this, and
17 maybe that's not correct.

18 DR. BENEDICT:

19 DR. LARSEN: When you say "can go on the label," I
20 think you have to remember what we just presented a few
21 minutes ago and what you heard from the public hearing
22 speaker, that there is a mitigating circumstance here from
23 where we were when this charge was originally given to the
24 committee and where we are today. We will presumably know
25 later this year which way the FDA is being instructed--well,

1 we know which way the FDA has been instructed at the present
2 time. We'll know which way FDA has to take those
3 instructions later on this year, presumably. To the extent
4 that this court case has an impact, then, you know, we'll
5 have to take that into account.

6 DR. BENEDICT: Yes?

7 DR. LEWIS: Again, I wasn't actively involved in
8 this group, but just to clarify for the history, when the
9 Keystone report ended, the issue was, we know we have health
10 claims when there's significant scientific agreement.
11 Because of the Keystone dialogue, there was a lot of
12 interest to the question of when scientific, significant
13 scientific agreement isn't there, what can happen?

14 I think what we're facing here is a report that
15 says we're not sure. In other words, they came to no
16 conclusion. When the agency cannot determine that there's
17 significant scientific agreement, the working report, the
18 working group report said, "We can't come to closure on what
19 else can be done."

20 So if you're reading that this is a directive to
21 the agency to do something when SSA isn't there, I think the
22 working group said, "Well, we don't know." And so the issue
23 isn't what happens when there isn't SSA vis-a-vis these
24 definitions, but rather when there isn't SSA, the working
25 group hasn't come up with a concrete framework for the

1 agency to use.

2 Does that help with your--

3 DR. HOTCHKISS: No, I understand. I understand
4 that. That's very clear from reading this. I just was
5 trying to understand why those who--

6 DR. BENEDICT: Could you get close to the mike?

7 DR. HOTCHKISS: I'm sorry. Just trying to
8 understand why those who--I'm trying to understand why there
9 couldn't be agreement on that, and what's the position of
10 those who argued that when there is not agreement, you
11 could--you should still be able to go forth with something
12 on the label. That's simply my question, because I can't
13 really understand the rationale to that.

14 DR. BENEDICT: Dr. Harlander, did you have a
15 comment?

16 DR. HARLANDER: Well, I think we basically said we
17 could not reach agreement on whether emerging science or how
18 emerging science could be communicated on labels. We said
19 that if FDA decided to permit emerging science, that we--
20 that they would have to really develop criteria for how
21 these statements are going to be developed.

22 I mean, it was--I guess we're kind of kicking the
23 ball back to FDA, saying no, we don't agree that if there's
24 not agreement by this expert panel, that people should be
25 allowed to use it or how they should be allowed to use it.

1 But criteria would really need to be developed around that
2 before people will be allowed to use it.

3 I should point out, too, that Keystone also was
4 not able to reach consensus on this issue. A two-year
5 dialogue, you know, with a very broad cross-section had--
6 really ran into the same kinds of issues that our small
7 working group did with emerging science.

8 DR. BENEDICT: With some reluctance I'm going to
9 make the following statement that represents a very minority
10 view on this topic, coming from someone who has a pretty
11 hard science background.

12 And that was that if it was proposed, and probably
13 justifiably never found its way into any of the reports, it
14 was proposed that you could do this if you had to by asking
15 the FDA to advertise a series of rankings where a number
16 would be placed on the label, or a letter, under the FDA
17 imprimatur that said "Pick a number, one, it's highly
18 probable that this is going to help you, calcium; two, it's
19 possible this is going to help you, but we'd like to take it
20 back later; and, three, there's no way." But of course no
21 one would put that on a label. And the thought was, if you
22 could just educate the public to just look at the numbers or
23 letters as a code, then you wouldn't have to have long,
24 involved labels.

25 There are a lot of things wrong with this

1 suggestion, but in principle this may be the way that we
2 have to move. And I invite anyone to comment on that who
3 was on the committee. It's--thank you very much.

4 DR. HARLANDER: We rejected it.

5 DR. BENEDICT: It was soundly rejected.

6 Let's see. Next on the list is Dr. Sigman-Grant.

7 DR. SIGMAN-GRANT: I thought I understood the
8 definition of "emerging science" until Dr. Harlander tried
9 to explain something to Dr. Russell. The way I read it, it
10 says a food substance, it's the research finding pertaining
11 to a food substance consumption, not the food but the food
12 substance, and that if the substance is consumed in a
13 reasonable amount--but in your response you indicated
14 something about the food being consumed, and then you--Dr.
15 Lewis mentioned something about serving sizes. So could you
16 clarify that for me, please?

17 DR. HARLANDER: We were talking about food
18 products. It's the amount of a substance in the food
19 products.

20 DR. SIGMAN-GRANT: Then the way I read the
21 definition, it says the substance, not the food.

22 DR. HARLANDER: But when we were referring to
23 "substance," we were talking about the scientific evidence
24 that related to all valid reports referring to the
25 substance. Because those studies that might have been done,

1 the substance under question might not have been delivered
2 in the specific food products that it's ending up in.

3 So does that clarify that?

4 DR. SIGMAN-GRANT: Not when you read the end
5 phrase, when it says "when the substance is consumed in a
6 reasonable amount."

7 DR. HARLANDER: And then putting it on the food
8 label, you're talking then about the food.

9 DR. SIGMAN-GRANT: That's where it's confusing.

10 DR. HARLANDER: But the claim itself would relate
11 to the substance.

12 DR. BENEDICT: Does that--Dr. Lewis?

13 DR. LEWIS: Again, I wasn't actively involved, but
14 I suspect this is harkening back to the requirement for
15 health claims, that it be consumed within the context of the
16 total daily diet, and they may have been trying to capture
17 that but maybe don't have the grammar down correctly.

18 DR. BENEDICT: Does that conclude your
19 questioning, Dr. Sigman-Grant?

20 DR. SIGMAN-GRANT: No, actually I have--can we
21 move on to the third recommendation?

22 DR. BENEDICT: You can say anything you wish.

23 DR. SIGMAN-GRANT: I just wanted to say that the
24 world wide web is wonderful, but not the total population
25 has access to it, and so there needs to be some

1 consideration for if there's going to be off-label
2 communication of a science in some other manner besides the
3 world wide--the www.

4 DR. BENEDICT: Dr. Harlander, do you want to
5 respond? We did discuss that at great length, in fact.

6 Dr. Brackett.

7 DR. BRACKETT: Thank you. Dr. Harlander sort of
8 got me thinking when you used the term "balance," and I'm
9 wondering how emerging science fits in when you've got
10 significant scientific agreements that may contradict each
11 other. Is there some sort of risk-benefit ratio, an example
12 of which might be--I don't know--cruciferous vegetables,
13 which are--have, you know, significant scientific agreement
14 that these have anticarcinogenic properties, but at the same
15 time these same components have toxic and even carcinogens
16 in it. I mean, is the label allowed to carry one side but
17 not the other, or they must--or should they make the other
18 claim, saying that there's toxics involved? I mean, I'm
19 sort of confused where this fits in there, then.

20 DR. HARLANDER: Well, that's why we got into so
21 much discussion around how would you provide an accurate and
22 balanced view of emerging science, because you almost do
23 have to put it in that context, particularly if there is
24 conflicting information. That's why we almost had to
25 conclude the totality, when you're looking at the totality

1 of the studies that are out there.

2 It's very difficult, particularly in the space
3 that you have on a food label, to try to convey that, that
4 balance?

5 DR. BENEDICT: Do you have additional--okay.

6 Dr. Kuzminski?

7 DR. KUZMINSKI: Thank you. This is a very
8 difficult topic, obviously. I've tried to organize my
9 comments on the definition and one of the conclusions
10 reached by the working group, and forgive me if I bounce
11 around a little bit in my comments.

12 But to me the word "emerging" connotes newness.
13 It's not brand new but it's early in the maturation of the
14 knowledge about a topic, whatever it is, the health benefit
15 of a particular component in a food or the food itself. And
16 because of that, it's not conclusive information and there
17 may be some doubt or lack of certainty, certainly, about
18 this new information. And if there's going to be a message
19 to the consumer about that component of a food, that doubt
20 or lack of certainty in some manner should be communicated.

21 I look upon the food label as really the last line
22 of communication by manufacturers to the consumer. To me,
23 it should represent accurate, bona fide information about
24 the contents of that package to the consumer: how it's
25 prepared, what's the components, a list of ingredients. the

1 vignettes on it should reflect the contents; the nutritional
2 information and any health benefits, too.

3 I guess we're all a product of our times, and for
4 me the off-package labeling doesn't carry the same impact as
5 the food label and should not be as restrictive in the
6 information content as the food package label. And I
7 recognize that there are differing views on this.

8 So if there is going to be a message on area that
9 there may be some doubt about because it's emerging and new,
10 that doubt should be communicated. So on the "probably"
11 versus "possibly" debate, I guess I'm in the "may" camp.
12 This isn't the hard science. I respect the views there that
13 the connotations of "may" carry, but to me it does portray
14 some doubt. It's a positive indicator, and it certainly
15 allows the consumer to decide, and perhaps that vehicle
16 allows credibility of the label to be maintained.

17 I am also in the camp of that one research finding
18 is insufficient, just not sufficient, especially if it's
19 emerging, because there's doubt. Another one could come
20 along, as has been stated, with a differing viewpoint, and
21 it is emerging also.

22 Now, comments have also been made to the substance
23 in a food, and there are cases out there where perhaps the
24 health benefit of a food in total has really not been
25 pinpointed to conclusively identify to a certain component

1 of that food. And if the working group's intent is to
2 specify to a certain substance in the food, it may be
3 excluding those foods that can carry a benefit, have been
4 studied bonafidely, and don't necessarily have the active,
5 if you will, identified.

6 I don't know if that's helpful or not, but I just
7 tried to go through the issues and give you a viewpoint
8 there. It's a very difficult topic to deal with.

9 DR. BENEDICT: Thank you very much for those
10 comments. Does anyone on the panel have a response or a
11 comment? Dr. Russell?

12 DR. RUSSELL: Thank you. I think this is to Dr.
13 Lewis or Dr. Larsen.

14 If an emerging claim of some sort with a
15 disclaimer is allowed on a label, for example fiber in colon
16 cancer, and then subsequently, as has happened, a large
17 study comes along which makes that a lot less probable, or
18 even if it's an intervention study it disproves it, for
19 example, what--do you then convene another conference to
20 disallow the statement, the emerging science statement that
21 you've already allowed, or is there--what is the process of
22 taking away an emerging science claim, even with a
23 disclaimer, if there is a second, a subsequent work that
24 makes that a lot less probable than we thought, say, one
25 year ago?

1 DR. LARSEN: I don't think we've gotten to the
2 stage of determining what our process would be at this point
3 in time.

4 DR. LEWIS: We have not ever dealt with
5 disclaimers, so the issue has been health claims with
6 significant scientific agreement. Under the provisions for
7 significant scientific agreement, we authorize the claim,
8 and I suppose in theory if the--I don't know if this is on--
9 I don't know how to put it.

10 If evidence were--the reason you have significant
11 scientific agreement is that you want that claim to be very,
12 very stable. So in considering the provisions under NLEA,
13 the decision was made to authorize the claim and use
14 significant scientific agreement on the part of Congress,
15 because they wanted these claims to last over time.

16 With the Keystone and this group, we're addressing
17 what do you do with claims that are less tenacious. And
18 your question is, how would FDA get rid of them, and we're
19 not in that ball park yet.

20 DR. RUSSELL: The reason is, I think you need to
21 get in the ball park because even with one of the ones, one
22 of the claims that was considered in the court case, there
23 is now a very large study that makes that a lot less likely,
24 the fiber-colon cancer relation.

25 DR. LEWIS: Sure, but we don't have a claim for

1 that.

2 DR. RUSSELL: No, I understand that, but if you're
3 going to--well, if you're going to be thinking about
4 allowing emerging--

5 DR. LEWIS: I understand.

6 DR. RUSSELL: --you also have to get the other
7 side of it.

8 DR. LEWIS: Right, and that's part of the, as we
9 would say, implementation of Pearson, if it comes to that.

10 DR. BENEDICT: Okay. Dr. Montville.

11 DR. MONTVILLE: It appears we all agree that
12 there's no agreement on this middle ground, and I do like
13 this idea of quantitating a rating system like movies, but I
14 can understand where that would lead.

15 It seems, though, that the use of a disclaimer for
16 the middle ground that just conveys to the public, as Dr.
17 Kuzminski suggested, that they should know that there's this
18 doubt, but they have a right to know that there's also a
19 possibility. "The FDA has not evaluated this claim," or
20 "There is no scientific consensus to support this," or some
21 kind of disclaimer, would seem to cover both bases. And I'd
22 like to know why that doesn't appear to be acceptable to the
23 FDA.

24 DR. LEWIS: The issue is the provisions under
25 NLEA. NLEA required that health claims be based on

1 significant scientific agreement, and there was no
2 authorization or provisions for other types of health
3 claims. So it wasn't that we arbitrarily said we don't like
4 this, but that the congressional provisions were for that
5 standard.

6 DR. BUCHANAN: Dr. Applebaum? I have you down, we
7 have you down as next.

8 DR. APPLEBAUM: And that was before, because I
9 think we're getting into discussions and they're all very,
10 very good discussions, but they could all be for naught
11 based on the decision coming out of Pearson. Because
12 essentially the decision says that FDA, unless FDA goes
13 forward and challenges it and wins, that FDA cannot ban
14 truthful, non-misleading statements, and I'm going to go as
15 far as to say on emerging science, simply because it's not
16 based on sound scientific or significant scientific
17 agreement.

18 So there's a legal issue that we--you know, that
19 has, if you will, superseded discussions by the task force,
20 and in the confines of their understanding at that time, you
21 know, it's no wonder in terms of the first bullet that they
22 could reach no consensus, because this has had to be thrown
23 into court to come out with some type of direction.

24 But essentially what's coming out is that there is
25 now essentially, through FDAMA and the interpretation of

1 Pearson, that there is now an alternative for bringing
2 information to the consumer. Rightly or wrongly, there is
3 now a health claims path according to FDA's interpretation,
4 but now an alternative path based on authoritative
5 statements and emerging sciences as well, that has to be
6 considered.

7 So it's--we're looking for questions or answers to
8 questions that are wonderful in terms of our knowledge, but
9 you now have this whole issue of this Pearson decision and
10 FDAMA, which together have put a whole different twist onto
11 this whole situation of statements being provided to the
12 consumer.

13 But the bottom line is, for either emerging
14 science, significant scientific agreement, structure,
15 function or whatever, that they should be truthful and non-
16 misleading, because there is no protection in the First
17 Amendment for statements that are deceptive in nature.

18 So I think, you know, I applaud this working
19 group, because they've had a lot of things to deal with in
20 terms of, you know, preventing to the extent possible those
21 types of deceptive statements from being protected by the
22 First Amendment and being put on the label and doing a
23 disservice to the consumer and to the public.

24 DR. BENEDICT: Thank you.

25 Dr. Buchanan?

1 DR. BUCHANAN: I also, if nothing else for my own
2 edification, wanted to get a better feel for the discussions
3 the working group had on disclaimers. And I have to agree
4 with Dr. Applebaum that, considering the Pearson case and
5 the issues that were raised in the background, that
6 certainly the definition of "significant scientific
7 agreement" is critical to what was identified in the Pearson
8 case, and it does seem to offer direction by the courts, but
9 still allowing emerging science based on a disclaimer.

10 And I think it's important for the committee to
11 understand the deliberations of the working group in
12 conjunction with disclaimers, or was that just not
13 considered an option at all?

14 DR. HARLANDER: I think we did talk, we didn't
15 talk extensively about disclaimers, but when you talk about
16 how you communicate in a truthful and non-misleading manner,
17 emerging science, what you end up verbalizing is something
18 that sounds like a disclaimer.

19 So although we didn't call it a disclaimer, we
20 discussed how would you communicate, and I can tell you we
21 couldn't reach agreement on that. What some people felt was
22 accurate, balanced, non-misleading and truthful, others did
23 not interpret that way.

24 So you'll see in our minutes that we did talk
25 about the fact that any statement should be reviewed by a

1 panel of marketing experts for effective communication and
2 needs to be tested with consumers. You know, what do
3 consumers--you have a bunch of scientists and food
4 scientists sitting around and talking about these things.

5 But what I have learned being in a food company
6 is, I am not the consumer of many of the products I produce,
7 and so you've got to understand how consumers really
8 interpret this, and we didn't have time for that research.
9 And let me tell you, that research, a lot of it has not been
10 done. It's not out there.

11 And so, you know, we did end up--I think we ended
12 up discussing disclaimers but we didn't call them that. And
13 it's very difficult. It's very hard to come up with
14 statements that are really accurate, balanced, non-
15 misleading and truthful, in this area.

16 DR. BENEDICT: Ms. Richardson?

17 MS. RICHARDSON: I would echo Dr. Applebaum's
18 comments, but the other issue that I wanted to address is
19 the recommendation that the agency reissue its "quackery"
20 brochure. I think that if you're going to address this
21 issue about diet and health information, that it should be
22 separate from a quackery brochure.

23 And I say that because being in the business of
24 promoting health and talking about disease prevention, that
25 you can't promote trust in health messages regarding food

1 and diet and prevention if you're including it in a message
2 about quackery; that you're going to have to look at
3 informational brochures that are focused on trying to impart
4 a positive message as opposed to having people confuse diet
5 and health messages with quackery.

6 Because right now what we have are consumers
7 saying, "I don't know what to believe. One day I can eat
8 eggs; the next day I can't. I can drink coffee; the next
9 day I can't. Maybe I can eat red meat." And at the same
10 time you have infomercials running 24 hours a day on
11 supplements and diet. And so I think that we have to keep
12 the messages separate.

13 And I would also reiterate that not everyone is on
14 the web. I am now computer-literate, but I know from
15 looking at the demographics that when we start talking about
16 the elderly, when we are talking about minorities and women,
17 that they don't all have computers, there are not always
18 computers in their local libraries, and so we have to figure
19 out how we can get the message to everyday people who are
20 not sitting at a computer all day or half the night, looking
21 at the web.

22 DR. HARLANDER: I just want to point out that the
23 committee came up with several suggestions to make it--to
24 continue to have FDA, you know, provide information where
25 they could, and so the web was just one of those. It

1 certainly isn't viewed as the primary method for getting
2 this out.

3 With regard to the quackery informational
4 brochure, subsequent to us looking at that, a number of
5 groups have come out with publications. IFIC is a good one,
6 where it doesn't--it gives you criteria for becoming a
7 healthy skeptic around all emerging science, not just diet
8 health, and I think a good--that would be a good way to
9 modify this so it's not--I agree with you, it's not linking,
10 you know, being skeptical about diet health information.

11 But there's a whole bunch of emerging scientific
12 issues that consumers are faced with, not just diet health
13 related, where one day it's okay and the next day it's not,
14 or somebody raises concerns about it. So I think you could
15 put that in a broader perspective of being a healthy skeptic
16 around any kind of emerging science information and that
17 would be valuable. People can relate it to diet and health
18 and to lots of other things.

19 DR. BENEDICT: Are there additional comments or
20 questions from the committee? This is your opportunity to
21 make statements that you'd like to have in the record,
22 additional clarifications that you'd like to have.

23 [No response.]

24 DR. BENEDICT: Okay. Dr. Larsen?

25 DR. LARSEN: After listening to the discussion, I

1 would maybe offer a fifth choice in how you deal with this,
2 and maybe that fifth choice, at least in this case, is that
3 you pass on--scrap the way I've developed this report from
4 the committee and simply pass on the working group report
5 without parts one and two of this thing that I've developed.

6 And just pass on the working group report with the
7 record of your recommendations or your comments on these
8 issues of "possible" versus "probable," one or not one, and
9 "food substance" as opposed to "food," and those comments,
10 so that FDA has in the record then, as we will with a couple
11 of the other reports, simply the working group report along
12 with the transcript of your comments as they apply to that
13 working group report.

14 I guess what I'm saying is, I tried to put a
15 format together here, and I'm letting you back away from
16 that format.

17 DR. BENEDICT: I'm deeply grateful for that
18 because it simplifies things considerably.

19 Before we deal with this very relieving statement
20 just made by Dr. Larsen, I would like to perhaps ask you the
21 question about "possibly" versus "probably" because I think
22 this is a very important thing. And we'll just go around
23 and you can answer with which of the two words you might
24 find favorable, and if you have a third one, we'll welcome
25 it. Dr. Applebaum?

1 DR. APPLEBAUM: Okay--

2 DR. BENEDICT: Possibly or probably? I sound like

3 Alex Trebek.

4 DR. APPLEBAUM: That's right, that's right.

5 Possibly.

6 DR. BENEDICT: Possibly. Dr. Brackett?

7 DR. BRACKETT: I prefer possibly.

8 DR. BENEDICT: Ms. Richardson?

9 MS. RICHARDSON: Possibly.

10 DR. BENEDICT: Dr. Russell?

11 DR. RUSSELL: Possibly.

12 DR. BENEDICT: Dr. Montville?

13 DR. MONTVILLE: Possibly.

14 DR. BENEDICT: Dr. Sigman-Grant?

15 DR. SIGMAN-GRANT: Possibly.

16 DR. BENEDICT: Dr. Hotchkiss?

17 DR. HOTCHKISS: Probably.

18 DR. BENEDICT: Probably. Dr. Kuzminski?

19 DR. KUZMINSKI: Possibly, or may.

20 DR. BENEDICT: Possibly, or may. Okay, thank you.

21 Is there a good way, a definitive way to ask the

22 committee to address "substance" versus "food substance"?

23 Do you have a suggestion, or shall we just incorporate the

24 comments as they are?

25 DR. LARSEN: I guess my gut reaction is just to

1 incorporate the comments as they are because, you know, as
2 Dr. Lewis has mentioned, this is--or I think she mentioned--
3 this is something that we have struggled with in terms of
4 the health claims themselves. Is it a health claim for a
5 food substance, or how do you interpret that term? And in
6 some cases we have authorized a health claim that is a
7 little bit broader than one might usually interpret the term
8 "food substance."

9 DR. BENEDICT: Okay. Given the recent suggestion
10 by Dr. Larsen of passing on the report with a record of
11 comments, and contrasting that with the three choices we
12 were going to ask you--transmit, transmit with some
13 editorial comment, transmit with editorial comment and
14 things reflected in the transcript--I think that I'd like to
15 exercise the Chair's prerogative and ask you if you're
16 willing or unwilling to pass on the report with a record of
17 the comments and throw it into the opposite court for the
18 FDA to deal with.

19 But before we do that, it's appropriate that since
20 we might have changed the direction of what we're going to
21 do, that you have the opportunity to make additional
22 comments, to make sure that there's absolutely nothing that
23 you want to have on the record that isn't in there--comments
24 on substance versus food, comments on anything at all that
25 we might do.

1 And this is a good time, because you are the Food
2 Advisory Committee, to object to what we just proposed to
3 do. If you would like to have us discuss those three
4 points, or if you would like to reject the document, this is
5 a good time to bring that up. So does anyone have anything
6 they want to say? Dr. Applebaum?

7 DR. APPLEBAUM: Again, I'm just going to ask for
8 guidance from the task force in terms of deliberations that
9 might have gone on. The "reasonable amount over a
10 reasonable period," because we're looking at claims that are
11 going to be applied to both dietary supplements and food,
12 conventional food, I--was there any discussion during the
13 last two years on an unfair advantage as it relates to, you
14 know, you can no doubt get more of a substance, of a
15 beneficial substance, in a dietary supplement than you could
16 from its counterpart in the conventional food.

17 And I was just wondering, was there any discussion
18 that one, one particular food would be getting a distinct
19 advantage over another food in terms of the way--because you
20 never--when these types of things are discussed right now,
21 we don't think of all the types of possibilities that might
22 go on, and I was just wondering. They are both foods.
23 Dietary supplements and conventional foods are foods,
24 according to the definition, but I'm just wondering if that
25 was taken into consideration.

1 DR. HARLANDER: To tell you the truth, I don't
2 remember that we ever discussed that. Does anybody else
3 recall if we discussed an advantage of a supplement over a
4 food?

5 DR. BENEDICT: I don't recall that we did. No one
6 else seems to have, either.

7 Can we recommend that this be considered by the
8 FDA in compiling the final document? Is that appropriate,
9 or are we left to just not deal with it? What is our
10 option?

11 DR. LARSEN: I think what you're left--I think the
12 option you have is providing us with your comments on the
13 record on that issue. I think if one wants to characterize
14 the term "reasonable amount" and "over a reasonable period"
15 as weasel words, you know, that's probably a
16 characterization that may be accurate.

17 The working group had--you know, they didn't want
18 to pin it down because they didn't want to tie their hands
19 or the manufacturers' hands or FDA's hands at this point in
20 time. They just wanted to put on the record that there
21 probably are unreasonable levels. But certainly, in direct
22 response to your question, I don't recall that they got into
23 the issue of one food or one product having an advantage
24 over the other because of the amount. It was more--it was
25 just the whole concept.

1 DR. APPLEBAUM: No. You know, I'll disagree with
2 you. I don't think they're weasel words. I think in
3 looking at the context of what constitutes food, I think
4 you're right on in terms of a reasonable amount over a
5 reasonable period.

6 But despite the Act saying that everything is now
7 considered a food, it sometimes can be the difference
8 between, you know, comparing apples and oranges. And just
9 again sometimes we have a tendency to throw bigger problems
10 back into your laps because we don't have the necessary, you
11 know, crystal ball, you know, to anticipate what might come
12 forth, but I just--and you know, FDA knows this probably
13 more so than we do, you know, on this side of the table.

14 But there is a difference in terms of the amounts
15 that can be achieved in a capsule form when you're dealing
16 with one particular substance versus in the food from which
17 it originates, or the plant, in that regard, from which it
18 comes from.

19 DR. BENEDICT: Dr. Russell has a question.

20 DR. RUSSELL: So we're talking about supplements
21 here, as well as foods. I didn't realize that. I thought
22 we were talking about foods or substances within foods. We
23 are also talking about supplements.

24 And with regard to the issue of the food, or the
25 supplement having an advantage over the food, it could also

1 work the other way. For example, in beta carotene, where we
2 know the foods high in beta carotene in study after study
3 have an advantage with regard to carcinogenic effects, but a
4 high dose of beta carotene in a supplement has just the
5 opposite effect.

6 So I'm not sure. I think it's something that--
7 actually I wasn't aware that we were talking of supplements
8 here as well as foods, but I--I don't know if the rest of
9 the committee was aware of that, but I was not.

10 DR. LARSEN: The issue of claims, since dietary
11 supplements are a category of foods, the issue of claims
12 applies to all the food products.

13 DR. BENEDICT: Dr. Hotchkiss?

14 DR. HOTCHKISS: I wanted to raise the same issue
15 in a little bit different context. This definition of
16 "emerging science" seems to me to be the heart of this
17 report and exquisitely important, though I hate to pay any
18 attention, to give any weight to the legal issues, but that
19 seems to be where it will end up.

20 This definition seems to do two things or should
21 do two things. It says what the standard is that something
22 must meet, what is the standard of proof or what is emerging
23 science, and it gives the standard. I'm a little unclear
24 why we just lowered that standard by saying, rather than
25 probably, possibly.

1 The other thing it does is, it says what the
2 substance is. Is it a food? Is it a supplement? Is it a
3 food containing a supplement? Is it a food that naturally
4 contains the supplement? The definition, in my view, as
5 others have expressed, is not clear about what we're talking
6 about here. It says a food substance in some place, but I
7 heard discussion that that's a food.

8 If compound X, possibly now, rather than probably,
9 if it possibly--which is a pretty weak word in my view--has
10 a beneficial effect, does this refer to that compound as a
11 pure substance, a multicomponent extract of a natural
12 source, a chemically synthesized compound, a food containing
13 a significant amount of that? All that is in it.

14 I would suggest that we, not to that detail, but
15 it would be nice to clarify that to say emerging science is
16 one or more research findings pertaining to a food or food
17 substance, if that's the case, or just a food, or whatever
18 it is. But it seems quite unclear, and I think there have
19 been several comments to that.

20 DR. BENEDICT: Dr. Lewis?

21 DR. LEWIS: Your confusion is certainly
22 understandable. There's a great deal of, I guess for lack
23 of a better word, case law interpretation, preambles that
24 define the meaning of "food" or "food substance." And I
25 think the working group was just deferring to those existing

1 regulatory definitions.

2 And if I remember what you said, virtually
3 everything you said comes under the definition of what we're
4 talking about. It can be a whole food, it can be an
5 extract, it can be a substance in a food, it can be a
6 combination. It goes back to what the scientific evidence
7 can show is attributable to the effect.

8 So perhaps it is helpful for the working group to
9 clarify it, but they can defer back to existing legal
10 definitions, that don't necessarily always have a common
11 sense definition we as individuals in the supermarket might
12 think in terms of.

13 I think, too, Rhona, to go back just very quickly
14 to your concern about "reasonable amount," in the provisions
15 for health claims attributable to significant scientific
16 agreement, the examination of the relationship addressees
17 the consumption of the substance within the context of the
18 total daily diet, so that it's going back to the notion that
19 the level at which there is some benefit is consumable
20 within the context of the total daily diet.

21 That's open to interpretation, but my read is not
22 that there are substances that could--high amounts and low
23 amounts, but that the overall examination of the
24 relationship, whether it be SSA or emerging science, I think
25 the working group is suggesting that that would be within

1 the context of what could be reasonably consumed. It
2 doesn't entirely deal with your issue, but I think it's not
3 the product itself but the examination of the relationship.

4 DR. BENEDICT: Dr. Russell?

5 DR. RUSSELL: Just to clarify that, what
6 reasonably is taken in as part of the overall diet, though,
7 could be in the form of supplements, and high dose
8 supplements.

9 DR. LEWIS: The amount--again, I'm talking in the
10 context, because this whole notion of emerging science is
11 not one we've dealt with, but in the context of the SSA
12 standard, there is--in order to authorize the claim, the
13 effective amount must be known.

14 The agency has to review that relationship to make
15 sure that effective amount is normally consumable. It
16 doesn't partition it out to say if you take a whole bunch of
17 it and up it. The question then comes, are you talking
18 pharmacological or nutritional. And those are all questions
19 built into the science review.

20 DR. RUSSELL: I'm just thinking about Vitamin E,
21 where you couldn't possibly take 400 units in a diet without
22 drinking a gallon of oil a day, which would be unreasonable,
23 but you can certainly take it in a supplement pill, which
24 would be reasonable.

25 DR. LEWIS: The agency then has to consider the

1 legal requirement of, is that within the context of the
2 total diet, and would make a proposal for or against based
3 on exactly the consideration you're talking about. It's
4 case-by-case.

5 DR. BENEDICT: So we'll begin to wrap it up, and
6 Dr. Montville will help us.

7 DR. MONTVILLE: I would just like to follow up on
8 Dr. Russell's question. With the specific example of
9 Vitamin E, is it possible then that a claim would be allowed
10 for a capsule but not for an oil?

11 DR. LEWIS: The claim is allowed for a substance
12 in a certain amount. It could be Vitamin E in a food. It
13 could be--the law doesn't distinguish between a supplement
14 and a food. The provision for a health claim is for a
15 certain amount of a substance, Vitamin E.

16 DR. MONTVILLE: But if the amount is not--

17 DR. LEWIS: If you're saying could the amount only
18 be achieved in synthetic forms or whatever, that's taken
19 into the consideration of the definition of "within the
20 context of the total daily diet."

21 DR. BENEDICT: All right. Let's figure a way to
22 end this discussion gracefully. What I propose that we do
23 is just poll the group, and I'll ask you would you like to
24 pass on this report along with the record of the comments
25 that have been taken, or would you like to take Dr. Larsen's

1 dreaded choice (d) and reject? And I hesitate to offer you
2 that option, and we weren't going to, but I have to offer an
3 alternative to what seems very reasonable.

4 Dr. Applebaum?

5 DR. APPLEBAUM: Pass on the report with the
6 commentary this morning to FDA.

7 DR. BENEDICT: Thank you. Dr. Brackett?

8 DR. BRACKETT: Pass on the report with the record
9 of comments.

10 DR. BENEDICT: Ms. Richardson?

11 MS. RICHARDSON: Pass, with comments.

12 DR. BENEDICT: Dr. Russell?

13 DR. RUSSELL: Pass on the report, with comments.

14 DR. BENEDICT: Dr. Montville?

15 DR. MONTVILLE: Pass, with comments.

16 DR. BENEDICT: Dr. Sigman-Grant?

17 DR. SIGMAN-GRANT: Pass, with comments.

18 DR. BENEDICT: Dr. Hotchkiss?

19 DR. HOTCHKISS: Pass, with comments.

20 DR. BENEDICT: Dr. Kuzminski?

21 DR. KUZMINSKI: Pass, with comments. But I have a
22 concern that due perhaps to the difficulty of the topic--and
23 I had this concern when I read the pre-reads--how is the
24 agency going to laterally integrate the conclusions of each
25 of these working groups? And because that is the way it was

1 structured, the charges were broken down, groups were
2 assigned, and now there are these reports.

3 So I feel a certain let-down, if you will,
4 personally, that in "pass on with comments," I would agree
5 with that recommendation, but I'm not sure that despite all
6 the good, hard thinking that has gone into this, we have
7 done a lot to clarify things.

8 DR. BENEDICT: And I suspect it won't be the last
9 time you'll see it. I suspect that once it's been laterally
10 integrated, your comments will be sought again. Is that a
11 fair assessment, or not? Perhaps not.

12 DR. LARSEN: I'm not sure exactly what that
13 question means. Are you asking would we append your
14 comments to the report and come back to the--and run them
15 back by the committee to review? Or are you asking are we
16 eventually going to bring FDA's process for how we've
17 integrated all of these working group reports back to the
18 committee for one big go-round?

19 DR. BENEDICT: I guess I was suggesting--or not
20 suggesting, no, far be it from that--I was asking whether
21 you would be bringing the finished, completely finished
22 document back to the FAC, or would it just--this is our
23 chance, and it's--

24 DR. LARSEN: This is--I would say what you've just
25 instructed to me, I believe, is that I take the word "draft"

1 off of the working group's report and that I append--and
2 that we take into consideration, from the record of the
3 transcript, all of your comments as they modify that working
4 group report. And the FDA will then just, you know, take
5 that into account, and it wouldn't come back to the
6 committee.

7 DR. BENEDICT: Okay. That's fine. Thank you.

8 Okay. This is and has been a really exciting
9 discussion about a very difficult topic, and we are very
10 grateful for the efforts of the working group and the FDA
11 employees who helped in assembling this. This was a huge
12 job, and you can see the complexity that we had to go
13 through over a number of years. And so I'm sure I speak for
14 the committee in expressing its gratitude for all the hard
15 work that was done.

16 We are scheduled--actually we are on time, and we
17 had expected to be early. So why don't we take now the 15-
18 minute break that's scheduled for 10:45 and return in 15
19 minutes, which will in fact be at 5 minutes until 11:00 by
20 my watch, where we will initiate our discussion of research
21 incentives. Thank you all.

22 [Recess.]

23 DR. BENEDICT: All right. Let's initiate our
24 discussion of the second item on our agenda, which is
25 Research Incentives, the Draft Working Group Report, and it

1 involves economic incentives for private health claims
2 related research. The FAC Chair of the working group has
3 been Ms. Donna Richardson, and she has been assisted by Dr.
4 Larsen, and we'll ask them to say anything they wish to say
5 before we open the discussion for the committee.

6 DR. LARSEN: I'll just make a couple of brief
7 comments. I note that Dr. Rhona Applebaum was also a member
8 of this group, so at least Ms. Richardson does have some
9 support from her working group when Dr. Applebaum gets back
10 to the table.

11 This particular report has one controversial
12 portion to it. It's not a controversial portion in the
13 sense of the working group recommendations; it's
14 controversial in the sense that there were two very distinct
15 views expressed about how the working group report should be
16 presented in light of other groups that have struggled with
17 the same issue.

18 Initially the comment came to me, and I--that
19 there should be some recognition that others have struggled
20 and been equally unsuccessful, I guess is the term, in
21 coming to a closure on it. There was a--the working group
22 subsequently, in editing the draft report, deleted that
23 comment. One member strongly objected to the deletion. I
24 attempted to incorporate it as a footnote, to at least give
25 it recognition but maybe downplay it somewhat. There was

1 continued objection to, even as a footnote, from at least
2 one member. Other members were maybe a little more willing
3 to accept the footnote.

4 So that was the point where I thought I would pull
5 it out of the working group report and instead couch this in
6 the sense of a Food Advisory Committee report, with the
7 middle section of the Food Advisory report to incorporate
8 this other information, if the Food Advisory Committee
9 chooses to do so--in other words, I'm dropping it on your
10 laps--and structure it in that fashion.

11 The draft advisory committee report then went back
12 out to the working group members, and as you might expect,
13 the same two comments came back strongly. Most of the
14 committee didn't comment. Most of the working group, I'm
15 sorry, did not comment, which meant that I guess they could
16 go either way. And the two strongly opposing views, the one
17 who wanted it there says this is great, leave it there; the
18 one who opposed it because it was felt that it may--it had--
19 it was a negative comment about the process of the working
20 group itself, opposed it even being in the Food Advisory
21 Committee report.

22 And then the other issue was, during the course of
23 the work of the working group we had attempted to get some
24 information from the IRS with respect to these tax
25 incentives that had been addressed in the Keystone report,

1 and the working group was trying to struggle with as well,
2 even though that's not an FDA--that's beyond FDA's purview.
3 That, the response that we received from the IRS did not
4 arrive until the working group had basically finalized their
5 report, and so I've incorporated for your consideration in
6 the Food Advisory Committee report a statement about that.
7 It just more or less is a summary.

8 I guess, given what we've just been through, I'm
9 giving you the fifth option again, that you can simply
10 address your comments, accept the working group report with
11 your comments and address your comments to the record on
12 each of these two issues, or you can utilize the format that
13 I've suggested to you as a Food Advisory Committee report,
14 at least with those two additional commentaries in there,
15 and any editing of them that you may wish to give me here or
16 in writing later.

17 With that, I think those are the only comments I
18 wanted to make. I'll let Ms. Richardson provide a summary
19 of the working group's efforts and where we're at.

20 DR. BENEDICT: Ms. Richardson?

21 MS. RICHARDSON: Thank you. The working group had
22 three meetings, including a conference call, and it was
23 composed of a diverse group representing industry and
24 consumers.

25 And we were specifically asked to look at the two

1 Keystone recommendations: one, that research into the
2 relationship among foods, food substances, diet, and disease
3 be increased and that more private and public sector funding
4 be made available for this purpose; and, second, that the
5 potential for providing various economic incentives be
6 explored as a means of stimulating private investment in
7 research that could establish relationships between food
8 substances and the reduction of disease risk.

9 Interestingly, Keystone cited four obstacles to
10 industry investment in health claims research: that because
11 of the lack of experience with new health claims, that it
12 was unpredictable about what would happen with a petition
13 regarding health claims; two, that the lack of certainty
14 about what is significant scientific agreement also was an
15 obstacle; and that the public record of research and the
16 required availability of the petitions to competitors also
17 was an obstacle; and that, fourth, there was an assertion
18 that research without a level of scientific agreement does
19 not allow reference to early research that may indicate a
20 potential diet-disease link, further restricts research.

21 Keystone made recommendations regarding incentives
22 for research, and there were four. One was that FDA should
23 provide confidential lead time between FDA's authorization
24 of health claims and the public announcement of the claim;
25 two, that a more lengthy period of market exclusivity exist

1 after an announcement of a health claim; three, that perhaps
2 compulsory royalties, set at a rate to provide reasonable
3 returns to direct reduction of the research costs be
4 considered; and, fourth, that perhaps we should look at
5 combining exclusivity, additional tax credits, and
6 government research grants for health claims research.

7 We looked at the recommendations from Keystone.
8 We also looked at the fact that they did not have a
9 consensus. And so our discussion ranged from whether
10 incentives were needed at all, and what research is
11 presently being funded by government and how much by
12 industry; what should be FDA's role in the development and
13 implementation of the incentives.

14 And we also looked at what was the charge, because
15 at one point there was a discussion about whether or not our
16 charge really was to look at the recommendations from
17 Keystone, or did we develop a new charge? And was this new
18 charge that we needed to identify and prioritize options for
19 implementing the Keystone recommendations?

20 And we also had extensive discussion about why
21 industry presently does research, from, you know, the
22 proverbial quest for knowledge to recognition for their
23 accomplishments, and what everyone agreed was perhaps the
24 most significant, which was that they do it to ensure or
25 enhance their economic success for their company, and to

1 enhance the demand for and increase the market share of
2 their products.

3 What we talked about a lot before we tried to come
4 to some consensus was the fact that a number of the group's
5 members believe that we need to simplify the claims petition
6 and authorization process, and that there needs to be a
7 clarification of the meaning of "significant scientific
8 agreement." Where have we heard that before? And "emerging
9 science." And we figured--we believe that those were the
10 most important incentives; that if we could address those
11 questions, that that would help us in dealing with the issue
12 about research.

13 After an extensive discussion on the above issues,
14 the working group came to the belief that it's inappropriate
15 to expect FDA to provide economic incentives for health
16 claims research; and also after looking at FDA's statutory
17 authority and jurisdiction, that several of the suggested
18 incentives would require legislative action outside of the
19 agency's statutory responsibility.

20 We have made the following recommendations after
21 extensive discussion, and hopefully they reflect the
22 opinions of the group, and we were not always on the same
23 level.

24 One, we did not reach any consensus on the need
25 for additional incentives. We believe that there are

1 incentives that are already built into the statutory
2 process, and that if we address the issues of significant
3 scientific agreement, that perhaps those incentives would be
4 enough.

5 We also recommended that FDA should promulgate
6 food industry labeling regulations that provide the industry
7 with greater flexibility in developing truthful, non-
8 misleading health claims, and that we believe that FDAMA
9 provides additional incentives and clarification of
10 "significant scientific agreement," and the "emerging
11 science" issue is critical to the incentive question being
12 answered.

13 Also, the agency should examine its statutory
14 authority in looking at how we can create incentives that
15 are within the purview of the agency, such as the lead time
16 concept, and we think that this concept should be examined
17 and explored more fully..

18 FDA should also consider expansion of cooperative
19 research efforts, and look at how the Federal-private
20 partnerships could provide incentives by leveraging the
21 resources of both groups, and we recognize that there will
22 be less money coming from the Federal side.

23 And we should also--we would also have the agency
24 look at the public availability of the scientific data
25 supporting a health claim which we believe is important for

1 consumers' understanding of the basis for a claim, and we
2 strongly urge retention of this requirement.

3 And, finally, it is our view that exclusivity,
4 royalties, and tax relief can be addressed in the
5 appropriate jurisdictions.

6 DR. BENEDICT: Thank you, Ms. Richardson.

7 Dr. Larsen, do you have anything else to add?

8 DR. LARSEN: Interesting you asked me that
9 question.

10 Since the charge was given to the working group,
11 and we don't have anybody from our Office of Food Labeling
12 here today, but since the charge was given to the working
13 group, there have been a number of changes in the health
14 claims rules that at least some people perceive get at the
15 issue of providing greater flexibility. Now, I'm sure there
16 is not consensus on that issue, but at least the perception
17 in some circles is, that has provided that.

18 The issue of expanding ways for cooperative
19 research, I don't remember the timing of all these things
20 now, but FDA has entered into an agreement with the
21 University of Maryland, something called the Joint Institute
22 for Food Safety and Applied Nutrition, and that may in the
23 future provide a mechanism for some of these cooperative
24 research types of activities, where the academia, industry
25 and the government can work together. We already have

1 something like that in what we call the Moffett Center in
2 Chicago with respect to food science itself.

3 So the fact that these cooperative efforts now
4 exist, to the extent that we get to health claims research,
5 if we do, they are a potential mechanism. Maybe Dr.
6 Buchanan would have some wiser words on those issues.

7 I guess the only other comment I wanted to make in
8 adding to Ms. Richardson's comments is that my comment at
9 the very beginning about not reaching consensus focused on
10 only one of these issues that they present. That is the
11 need for additional incentives. As you will see, they came
12 up with--the working group came up with quite a list of
13 commentaries, and in most cases they were able to come to
14 some sort of agreement. It was whether or not there are
15 additional incentives was where they, like others, could not
16 come to agreement. Existing incentives are there. What
17 more is really needed?

18 In fact, even one of the industry members, if I am
19 characterizing his position correctly, entered into the
20 working group believing that additional incentives were
21 needed, but as the working group explored and he himself
22 explored how one might go about this, he ended up more on
23 the view that there may not be any need for additional
24 incentives. So if I properly characterized his views, there
25 was that transition as well amongst at least that one member

1 of the group.

2 That's the only additional comments I wish to make
3 at this point.

4 DR. BENEDICT: Thank you. As long as we're doing
5 this, Dr. Buchanan, would you like to comment on cooperative
6 research at this time?

7 DR. BENEDICT: I would first like to comment on
8 research, period, within the Food and Drug Administration.
9 And research within the Food and Drug Administration is
10 targeted specifically to research that allows us to fulfill
11 our mission. So in the areas of health claims, research
12 that helps us evaluate health claims more effectively,
13 research that allows us to speed up the process that we
14 would conduct these evaluations, to allow these evaluations
15 to take place more effectively, these are all appropriate
16 subjects for FDA research.

17 Research that would specifically be done to
18 support a health claim would not be appropriate for our
19 research activities. It would have to be broadened out to a
20 broader area. In some instances where there is wildly
21 conflicting data on a health claim, where our ability to
22 evaluate it would be in jeopardy because of this wide area,
23 we might undertake a limited scope of research to help us
24 reach a better conclusion.

25 Now, this is not to preclude activities associated

1 with some of our consortia, but again, we would still look
2 at these very closely on whether or not they are helping us
3 support our research mission. Now, in that light there are
4 several opportunities for conducting research. Most
5 effectively we do this through our consortia.

6 If there is a high enough area of interest in
7 this, in a specific area, and we have advance function for
8 it, we do put out calls for research proposals in different
9 areas, and in fact several of these areas are anticipated to
10 be elevated to a request for outside proposals in future
11 years, depending on what Congress does in terms of our
12 research funding. Please note that we do get our budgets on
13 a yearly basis, so it's a little hard for me to predict
14 what's going to happen next year until we hear from
15 Congress.

16 DR. BENEDICT: Thank you. So now let us do what
17 we just did, and open the floor for questions or comments
18 from the advisory committee, and we'll do it the same way
19 we've done in the past. Who would like to go first, if
20 anyone?

21 [No response.]

22 DR. BENEDICT: Well, there's a first. So, seeing
23 that there are very few questions--oh, Dr. Kuzminski, thank
24 you very much for participating.

25 DR. KUZMINSKI: Thank you. I just didn't want to

1 be first, that's all.

2 Just a question to the committee on your
3 recommendations there on pages 8 and 9 of the material. In
4 the consideration of incentives, could you tease out more,
5 perhaps, what the working group meant by "lead time
6 concept"?

7 MS. RICHARDSON: I think it was building upon the
8 experience that FDA had had before. I think when we--when
9 the agency looked at oats, that if there was a way for
10 industry to be given additional time between the--the
11 approval of a health claim and the marketing of a product,
12 so that that--especially for the group who had done the
13 research, so that they could have a better marketing
14 approach and they would not be economically disadvantaged,
15 in that they had done the research but there would be other
16 people who would garner the benefits of that research.

17 And this is especially when you're talking about
18 products that are just sort of like worldwide, like oats,
19 that if you're going to say that, yes, oats are a benefit,
20 then everybody who does something with oats gets that
21 benefit. Whereas if the group who did the research could
22 have an extra amount of time, and they would be notified by
23 FDA that, yes, this is going to be approved, and then they
24 could jump out in the lead with their marketing approach,
25 their advertising, and get an economic benefit to offset the

1 research costs that they had put in, that are going to
2 benefit a large group of people.

3 DR. KUZMINSKI: Was there any discussion given to,
4 as I interpret your response, was there any discussion given
5 to a period of time of in-market exclusivity to the claim,
6 if you will?

7 MS. RICHARDSON: Yes. The--

8 DR. KUZMINSKI: After, as I understand, when the
9 agency approves something, that is say zero time, and the
10 advantage in recommendation (c) on lead time would be that
11 the submitter, the organization that did the research would
12 be notified in advance of anyone else, any other party, and
13 they would have more preparation time to get to market.

14 But what I'm addressing is, once they're in
15 market, was there any exclusivity given to that party that
16 did the research and took the risk, of being in market on an
17 exclusive basis?

18 MS. RICHARDSON: That was also an option that was
19 discussed, as well.

20 DR. APPLEBAUM: And just to add to that, we were
21 getting involved in issues concerning free speech issues.
22 How can you deny someone who has--you know, someone, you
23 know, for example, a company that produces the same type of
24 product, how can you prevent them from using something
25 that's truthful and non-misleading? So there were some

1 issues that we had to deal with in that regard as well, as
2 it related to exclusivity.

3 But Ms. Richardson is absolutely correct, it kind
4 of boiled down to the fact that if I'm the petitioner, you
5 have a good dialogue with FDA, and FDA said, "We will be
6 publishing the final rule on this health claim July 23rd,"
7 and they're telling you several months back so you can have
8 --and if I'm not characterizing it correctly--but
9 essentially a competitive advantage in order--you did all
10 that work. You should be getting some type of benefit from
11 your labors.

12 DR. BENEDICT: Dr. Larsen has a comment, as well.

13 DR. LARSEN: The lead time concept, as presented
14 by Keystone and as indicated by the working group, is
15 something that it was felt FDA should explore under its
16 statutory authorities whether it could or could not do that.
17 The exclusivity, I think it was pretty much understood that
18 there would have to be some other--some change in FDA's
19 legal authority, and I hope I'm not mischaracterizing that,
20 but exclusivity is not something that we have currently in
21 the food arena. That exists on the drug side of the house.

22 DR. BENEDICT: Dr. Buchanan?

23 DR. BUCHANAN: As a representative of the agency
24 that will be receiving this report, I have several questions
25 or clarifications that are needed, or just comments on the

1 recommendations.

2 Specifically, recommendation (e) regarding public
3 availability of the scientific data supporting the health
4 claim, I would like some clarification on if this is really
5 related to the question at hand, research incentives; (2)
6 or if it is trying to convey to us that research in support
7 of a health claim must be made public, to what extent does
8 that include proprietary information? So that's the one.

9 The second one was just an area of sensitivity in
10 recommendation (b). If the working group is asking for
11 further clarification, indicating that further clarification
12 of "emerging science" is critical, where the second working
13 group says that they cannot reach consensus on that area, I
14 think it sends very much of a mixed message in terms of the
15 entire advisory committee.

16 MS. RICHARDSON: On Item (e), we had many
17 discussions about providing the data to the public, and
18 there were discussions about proprietary information, and it
19 was felt that existing statutory language covered the
20 concerns about proprietary language, if I'm remembering
21 correctly.

22 This was also related to our concerns about the
23 clarification about health claims and what is viewed as
24 misleading and what is backed by significant scientific
25 agreement. So I think, you know, we had two issues with

1 this: one, making sure that the consumer is getting
2 information that they can rely upon; and, two, also
3 addressing the concerns of the industry about the protection
4 of their proprietary rights to information.

5 Rhona?

6 DR. BENEDICT: Dr. Applebaum.

7 DR. APPLEBAUM: I also remember from that
8 conversation specifically this was coming--our work in this
9 particular area was coming, you know, after discussion
10 concerning Olestra. And if I recall correctly, there was
11 one individual in particular whose responsibility it is to
12 translate health and nutritional information to consumers.

13 And she was making the point that, you know,
14 "There's a lot of information obviously surrounding the
15 whole issue of Olestra and Olestra-containing foods that I,
16 as a health professional consulting with consumers, don't
17 have access to. Wouldn't it be nice if FDA had that type of
18 repository, not necessarily in terms of privileged and
19 confidential information per se, but just in terms of
20 dietary recommendations or advice," if that's correct. I
21 mean, that's my recollection.

22 In regards to the point also that Dr. Buchanan
23 just mentioned, I think that harkens back to what Dr.
24 Kuzminski stated, that there is going to be a point--we are
25 essentially giving you--we were looking at this as if we