

1 were chemical engineers and we had to break this up into
2 unit operations, and we broke it up into three units.
3 You're going to have to go back and build that machine, and
4 you now have experts--I can say that because this is my
5 fourth year--you now have a group of experts, Dr. Kuzminski
6 is one of them, who are going to help you integrate, because
7 that--there is going to be some need to do that.

8 But as a member of the committee and as a member
9 dealing with these issues regarding the three--the three
10 responsibilities of the task force, forces, the emerging
11 science, the fact that the emerging science task force was
12 able to come up with a definition, I think that's--that is a
13 tremendous advantage.

14 The fact that as it relates to you could not reach
15 a consensus on allowing statements, again I harken back to
16 what I said prior to break. That's being discussed and will
17 continue to be discussed. I'm not saying it's in everyone's
18 best interests that these types of disagreements get debated
19 and at least concluded successfully, sometimes
20 unsuccessfully in courts, but that's where that issue is
21 going to be. That's my comment.

22 DR. BENEDICT: Thank you.

23 Dr. Larsen has no additional comment on that.
24 Does anyone else wish to speak?

25 [No response.]

1 DR. BENEDICT: Okay. Dr. Larsen, perhaps you'd
2 like to state a question that we can ask them about your two
3 issues?

4 DR. LARSEN: Well, perhaps what you could do is go
5 around and ask the committee whether or not they would agree
6 to keep a comment in with respect to the consistency of the
7 working group report with conclusions of others, and then,
8 without wordsmithing it--and if you have wordsmithing you
9 can pass it on to me, because I think it's the concept that
10 we want to get on the table here--and then, secondly, do you
11 want to keep in any commentary that explains what happened
12 or what was transmitted to us on the working group from the
13 IRS? And then, finally, then that as a package together
14 with the working group report, what your final conclusions
15 are on transmitting that to FDA.

16 I'm suggesting that. You as Chair have the option
17 of taking that suggestion or not.

18 DR. BENEDICT: I deeply appreciate your
19 suggestions. The Chair will act upon them almost verbatim.
20 The way I would like to package it, however, is so we don't
21 go around this room eight or nine times with me calling out
22 your names, let's do it, issue one, include the comment or
23 exclude the comment; issue two, include the IRS, exclude the
24 IRS; issue three, the same old thing that we did before,
25 transmit with the comments on the record.

1 Does that seem logical and clear to everyone? If
2 not, we can certainly clarify it, or we can go around one at
3 a time. Please just let me know whether you're prepared to
4 say "include, include, okay," "include, exclude, not okay,"
5 or any permutation of those three answers. If I hear no
6 objection, we'll begin.

7 DR. APPLEBAUM: I just have a clarification.

8 DR. BENEDICT: Oh, please, please.

9 DR. APPLEBAUM: Can you repeat again the comment
10 that's causing concern, whether to include it or not to
11 include it?

12 DR. BENEDICT: Perhaps just tell us where it is,
13 and we'll all briefly read it.

14 DR. LARSEN: Okay. It's in, as I have crafted
15 this for you, it's in part two of the Food Advisory
16 Committee report to FDA, and it's the first one there. It
17 talks about the consistency of the IWG report with
18 conclusions of others.

19 It says: "The committee notes that the IWG report
20 is consistent with conclusions of other groups and
21 individuals on the issue of incentives. Because the IWG
22 discussions did not focus on how others have responded, some
23 members preferred to omit from the report discussions of
24 conclusions by others." And I'm shortening up what it says.

25 "However, the committee wishes to acknowledge the

1 conclusions of others in its full report to the FDA, and the
2 consistency of such conclusions with those of IWG."

3 "Some of the group's views and recommendations
4 echo, rather than respond to, the Keystone report. In
5 addition to Keystone and IWG, other groups and individuals
6 have struggled with this issue. The University of Illinois
7 Functional Foods for Health Program held a workshop in
8 November of '97, attended by the program's industry
9 partners, to discuss incentives. While incentives were
10 considered to be desirable, there was a lack of consensus on
11 what the incentives should be. As noted in the IWG report,
12 Dr. Childs' survey of the food industry did not reveal a
13 consistency of views. Mr. Mike Taylor, a former FDA
14 employee here, prepared a paper for the '97 Food and Drug
15 Law Institute Conference in which he argued that the goal
16 should not be incentives or research for their own sake.
17 Rather, the goal"--and this is a very short summary of what
18 he said--rather, the goal should be to meet critical
19 consumer needs, to maximize the flow of truthful, non-
20 misleading information."

21 And then this concludes with saying that "The
22 committee submits this report in recognition that the issue
23 of research incentives is not resolved by the report, and
24 that discussion of the issue may continue in a variety of
25 fora."

1 So that's--there is recognition that the working
2 group was not alone on what incentives are needed, if any
3 incentives are needed, and the dispute between two of the
4 working group members as to whether or not to include this
5 recognition in the working group report, or in this case, as
6 I have crafted it now for you, in your report.

7 DR. BENEDICT: So are we clarified? All right,
8 then. Dr. Applebaum, who requested the clarification, I'll
9 ask you for three answers. One.

10 DR. APPLEBAUM: The first include is yes.

11 DR. BENEDICT: First include is yes.

12 DR. APPLEBAUM: Second include is yes.

13 DR. BENEDICT: Yes.

14 DR. APPLEBAUM: And then to pass this on to the
15 FDA with those inclusions and the commentary from this
16 morning, yes.

17 DR. BENEDICT: Thank you. Dr. Brackett?

18 DR. BRACKETT: Include, include, okay.

19 DR. BENEDICT: Thank you. Ms. Richardson?

20 MS. RICHARDSON: Include, include, include.

21 DR. BENEDICT: Thank you. Dr. Russell?

22 DR. RUSSELL: Include, exclude, okay.

23 DR. BENEDICT: Dr. Montville?

24 DR. MONTVILLE: Include, include, okay.

25 DR. BENEDICT: Dr. Sigman-Grant?

1 DR. SIGMAN-GRANT: Include, include, okay.

2 DR. BENEDICT: Dr. Hotchkiss?

3 DR. HOTCHKISS: Include, exclude, yes.

4 DR. BENEDICT: Dr. Kuzminski?

5 DR. KUZMINSKI: Include, include, include.

6 DR. BENEDICT: Thank you. All right. We are 15
7 minutes ahead of schedule, which is hardly enough to begin
8 the GMP, don't you think? So why don't we begin lunch and
9 then come back earlier. We'll still take an hour and 15
10 minutes for lunch.

11 And before we do that, there's an announcement
12 that we would like to convey to everyone. We may begin to
13 lose members of the committee as the afternoon wears on, and
14 we want to make sure that you have a chance to comment on
15 anything that you've read, that you won't be present for the
16 discussion of.

17 And so we would like to request that if you are
18 forced to leave us, you transmit written comments or
19 editorial changes to the Executive Secretary, Ms. DeRoever,
20 by--here's the date--July 23rd, which is a Friday, three
21 weeks from today, after which time it will be too late. And
22 so, please, it will be very helpful, if you have statements
23 or comments, to do that.

24 Now we're going to take an hour and 15 minutes for
25 lunch, which puts us back here at 12:50, by my watch. Or an

1 hour and 15 minutes by anyone else's watch, and we will
2 start at that time. Thank you very much.

3 [Whereupon, at 11:35 a.m., the committee recessed,
4 to reconvene at 12:50 p.m., the same day.]

A F T E R N O O N S E S S I O N

12:50 p.m.

DR. BENEDICT: Attempting to be more creative with the tapping of the gavel, we will now be in order. I always wanted to say that.

The next--and I'm so grateful to my tax dollars for affording me this opportunity to live out some fantasies--we are going to discuss identity testing and record-keeping GMPs. Before we do that, a question has been raised, a very legitimate question has been raised, about what we can or should discuss of the proceedings as they have been carried out. And I'm hoping Dr. Brackett appears. Inasmuch as he hasn't, let's press forward anyway, and we're going to ask our Executive Secretary, Ms. DeRoever, to comment on this issue, discussion of what's taken place. Here she goes.

MS. DeROEVER: Thank you. As you can all tell, this is a very open meeting. It is being transcribed. I have not checked, but I assume that the trade press are here and will be covering the proceedings.

At the conclusion of the meeting, you are free to discuss your views on what transpired, to give a synopsis of the committee discussion. The transcript that's being taken will be put on our dockets, and it may in fact be put on the world wide web, so people will have access to that.

1 This committee has never had a closed meeting. If
2 we did have a closed meeting, there would be guidance and
3 restrictions, in fact not only on who could attend but also
4 on what would not be discussed after the meeting.

5 We would like, even though this meeting is quite
6 general with respect to the topics we're covering and their
7 application beyond today's meeting, when we discussed, for
8 example, Olestra, that issue was brought to the committee
9 twice. And when we went back for the second hearing, if you
10 will, the second advisory committee meeting on Olestra, we
11 did ask all of our members if they had made any public
12 statements on Olestra that could be construed as a potential
13 conflict of interest for their further deliberations.

14 So if you would keep that in the back of your
15 mind, if you--does that address your question? And please
16 feel free to call if you have any questions. We're happy to
17 work with you. Dr. Larsen, would you--

18 DR. LARSEN: No, I think you've covered it
19 adequately. And as Cathy has said, we've frequently--not
20 frequently, but we have gotten calls in the past after
21 especially controversial meetings to have folks clarify with
22 us, and we clarify with them, what it's appropriate to say
23 or not to say, or how far--you know, and what the impact may
24 be on any future deliberations. Feel free to call us.

25 DR. BENEDICT: Thank you both. And in fact

1 several of around the table have called in the past,
2 sometimes more than once, before discussing certain issues
3 with people who contacted us.

4 So, now, before we move into the discussion of
5 identity testing, records and retention, let's recognize the
6 folks that we have here to help us in our discussions.
7 We've already introduced Mr. McGuffin and Dr. Bolar, who I'm
8 sure is on his way, Dr. Croom and Dr. Wang.

9 On the other side of the table we have two people
10 from FDA, and I would be grateful if you could find a
11 microphone and introduce yourselves. They have both been
12 extremely instrumental in developing these documents.

13 MS. STRAUSS: I am Karen Strauss, and I work for
14 Office of Special Nutritionals, and I have been a
15 facilitator of the working group, trying to help put things
16 that I get into an order that sort of makes sense, I hope.
17 And so this is sort of the culmination, I hope, of a lot of
18 hard work, and it will be interesting to hear the discussion
19 of the committee.

20 DR. OBERMEYER: I'm Bill Obermeyer. I had just a
21 few minor comments that I had put in or helped put in here,
22 but other than that, just Division of Natural Products, and
23 I'm just here to answer a few questions.

24 DR. BENEDICT: Thank you both. So unfortunately,
25 especially unfortunately for me, Dr. Chassy, who is the

1 Chair of this working group, is unable to be with us, and I
2 seem to have been elected to give you the overview of our
3 deliberations.

4 And the charge to this working group essentially
5 asked us to consider what would constitute identity testing
6 of dietary supplement ingredients, and what records would be
7 necessary to demonstrate that product safety is maintained
8 throughout the manufacturing and distribution process. The
9 charge is summarized in the documents that you have.

10 The information that you find in this report was
11 contributed by the working group members, by representatives
12 of FDA, as well as some particularly exuberant members of
13 the public who attended our Chicago meeting and didn't know
14 what they were getting into at the time. We roped them into
15 providing some information for us.

16 We have had that face-to-face public meeting in
17 Chicago and several very lively conference calls dealing
18 with various issues, and we had a few sub--I don't know
19 whether you call it a sub-working group--a few sub-working
20 groups were established to hash out particular issues. And
21 in many cases, almost all cases, we achieved consensus on
22 what we thought should be presented to the committee. There
23 are, however, some minority report statements that I'm sure
24 you found in your documents, and I'm going to ask us all to
25 discuss those, probably first.

1 The issues are, this draft report takes a narrow
2 definition of ingredient identity testing. That is, we were
3 prescribed to establish the origin, the nature, the
4 characteristics, the form, the taxonomic classification
5 where that's applicable, of dietary supplements. We did not
6 address microbial and chemical contamination testing. This
7 is to be done at a different time. We acknowledge that
8 there are limitations to the analytical methods currently
9 available for a lot of the things that are going to be
10 incorporated into this type of food supplement substance.

11 We included, we hope, the possibility that the
12 whole procedure will evolve as testing evolves, and so that
13 petitioners can take advantage of things that appear and
14 incorporate that into what they wish to do. The draft
15 report includes identity testing guidance for each
16 supplement category as it was defined in DSHEA, but it notes
17 that for a lot of these things there are no validated tests,
18 and that some things you've got solid tests and some things
19 you don't.

20 And so we hope we've provided the flexibility that
21 everything can be accommodated based on what we have,
22 without putting unnecessary strictures on industry up to
23 which they cannot rise until we have something for them to
24 rise to that. But we still, of course, expect testing as
25 best it can be done and documentation as best it can be

1 done.

2 The testing procedures are limited, of course, for
3 certain things, botanicals, dietary supplements, and they're
4 easier for things like vitamins, and that's what I'm trying
5 to say, not doing a very good job. You will have noticed
6 that there's more information in the report for botanicals
7 than there is for a lot of other things. The reason is,
8 testing is straightforward for some things and really
9 doesn't exist very well for others.

10 And of course the expertise of the working group
11 members was sort of weighted in the direction of botanicals.
12 We attempted to find individuals who could represent this
13 category that has the terrible name that I can never
14 remember, but it has something to do with a supplement
15 that's designed for something else, and it really is
16 supposed to mean animal products. I'm sorry I'm not being
17 very explicit. The working group did, however, attempt to
18 be fair and attempt to represent every category that was
19 listed, inasmuch as we could.

20 With respect to recordkeeping, we included
21 guidance to manufacturers to develop written procedures for
22 their manufacturing practices, and to keep and review the
23 records of the day-to-day use of the procedures in order to
24 maintain the GMPs. We felt this was very important.

25 We've also included guidance to manufacturers

1 concerning distribution and post-distribution issues, and
2 the question of consumer complaints has come up and should
3 come up, and that's included for discussion as well.

4 So what you find in your document is a preface, an
5 introduction, and a little discussion of the organization,
6 of the outline; a glossary which we felt was very important;
7 a general outline for principles for identity testing; a
8 section on records and retention; and a series of appendices
9 which in general the committee agreed on completely, with
10 one exception that's expressed in the minority reports.

11 And I know you've all become familiar with this
12 document, and I don't propose to give you a lot of detail.
13 I would like for us just to begin to discuss it, if that's
14 okay, and I'll of course ask for comments before we discuss
15 from the FDA people.

16 Let me point out that, again, we have six people
17 who are not members of the committee technically, who worked
18 very hard on this document and argued very strenuously, and
19 they are here to explain any controversies. They are here
20 to help us with our discussions, and please don't hesitate
21 to ask whatever it is you wish to know.

22 I think that's all I have to say. Dr. Larsen,
23 would you like to address any issues?

24 DR. LARSEN: Yes, I always want to say something.

25 DR. BENEDICT: Quelle suprise.

1 DR. LARSEN: Let me have you back off just a bit
2 on your statement about the members not being members of the
3 committee. They are not standing members of the committee.

4 DR. BENEDICT: Thank you.

5 DR. LARSEN: Some are temporary--some are
6 consultants to the committee and were made temporary voting
7 members for the purpose of this activity. Others are
8 industry liaisons to the committee, as opposed to industry
9 representatives on the committee. The representatives are
10 standing members; the liaisons are more or less temporary
11 members.

12 DR. BENEDICT: Ah, ha.

13 DR. LARSEN: So they are not standing members of
14 the committee but they do participate as temporary members
15 of the committee for this purpose.

16 DR. BENEDICT: Thank you for that clarification.
17 I stand heavily corrected.

18 Ms. Strauss, would you like to add anything?

19 MS. STRAUSS: Around the table I distributed one
20 additional minority report statement that refers to the
21 question of use of the terms "validity" and "validation,"
22 etcetera, and around the table we've distributed them but I
23 haven't to the audience here, so for those that are
24 interested I could also provide a copy.

25 DR. BENEDICT: So while those are being

1 distributed--do you have additional comments? I'm sorry.

2 MS. STRAUSS: No, that's it.

3 DR. BENEDICT: Okay. Let's find, if we could, the
4 minority statements, and they are found in the briefing book
5 just before "significant scientific agreement" occurs, and
6 there is no way to give you a page number. It's the last
7 two pages, which is probably not any more helpful than
8 before the thing. It does begin with "draft minority report
9 statements," which narrows it down just a little bit. It's
10 about this far into the document from the back.

11 Okay, now that we're all there or here, we can
12 take them in order, or why don't we look first at the
13 auxiliary statement that Karen Strauss passed out to you.

14 There was considerable discussion on the use of
15 the term "validate" or "validated" or "validation." It was
16 thought by several members of the working group that this
17 had implications certainly to chemists and to some
18 individuals in industry that couldn't be supported for some
19 of the tests that we're proposing that people do.

20 And it was suggested that we change the term from
21 "validate" to "substantiate" or to "substantiation," and
22 what you have before you on this one piece of paper that was
23 passed out--everyone see it? Looks like that--is a
24 suggestion to do that. And this paper shows every possible
25 place in the document that this might occur.

1 And example is the one on page 13, line 42, reword
2 to read the following: "Extracts should be manufactured
3 with botanical ingredients identified as in paragraphs (a)
4 through (c) above. A validated chemical assay"--oh, I'm
5 reading the wrong thing. This is the place where
6 "validated" is appropriate, I think. "A validated chemical
7 assay, such as those of the AOAC, pharmacopoeias, and other
8 internally validated or substantiated analytical methods are
9 the only analytical methods relevant to identification of
10 this form of the botanical ingredient."

11 Which I assume means if you've got a good test and
12 it's validated, that's fine. If you don't have a validated
13 test and there's an internally substantiated method, you can
14 use that. And this has been done throughout the document.

15 If you would like to comment on that or ask
16 questions about it--Dr. Hotchkiss?

17 DR. HOTCHKISS: Let me make sure I understand what
18 you've said. You're not telling me that the words
19 "validity", "validation", "validated," have been removed
20 from the document or are being proposed to be removed from
21 the document. Are you--I think what you're saying to me is
22 that in those cases not involving a chemical analysis,
23 microscopy, for example, that in those places the words
24 "validated", "validation" or "validate" have been
25 substituted. Is that what you're talking about?

1 DR. BENEDICT: That's essentially what we're
2 saying. If there is no validated test, we'll use a
3 substantiated method. Does that reflect what we've said?

4 MS. STRAUSS: It's probably more accurate to say
5 that "substantiated" was put there in addition to
6 "validated"--

7 DR. BENEDICT: Okay.

8 MS. STRAUSS: --in almost all of the cases.

9 MR. MCGUFFIN: So in almost every case--

10 DR. BENEDICT: This is Mr. McGuffin.

11 MR. MCGUFFIN: --"validation" was replaced with
12 "substantiation or validation."

13 DR. HOTCHKISS: Well, I guess page 8, line 9,
14 that's not the case; page 8, line 24, that's not the case;
15 and page 17, line 2, that's not the case, according to this
16 list, and I've just gone through quickly and jotted them
17 down in my book. In some cases what you're telling me seems
18 to be the case, but in other places it seems not to be the
19 case.

20 MR. MCGUFFIN: Right. I did say--this is Michael
21 McGuffin, again--in most cases. And we ought to look at
22 those specific ones and see. Can I read the first one,
23 then, from the text?

24 DR. BENEDICT: Sure. Let's do that one.

25 MR. MCGUFFIN: On page 8, line 9, "The general

1 principles to be considered in determining the appropriate
2 ingredient tests are outlined. Included are characteristics
3 of the dietary supplement ingredient, of the test techniques
4 available, of the testing environment, and of the ingredient
5 test method," and we put in the word "substantiation" rather
6 than "validation."

7 And then you really have to look at our definition
8 of "substantiation," which is very closely synonymous with
9 our glossary definition of "validation." And our caution
10 here had primarily to do with the generally used definition
11 of "validation," which is something different than our
12 glossary definition.

13 Our glossary definition is really a dictionary
14 definition, but the concern arose out of the knowledge that
15 the scientific community refers to a validated methodology
16 as one that has been scrutinize by a specific procedure that
17 includes multiple center testing and a number of very
18 specified reviews. And what we were trying to do in this
19 regard was to assure that method validation include internal
20 validation or substantiation also; that a manufacturer often
21 is the best source of the methodologies, and those had been
22 excluded in earlier drafts.

23 DR. BENEDICT: Dr. Buchanan?

24 DR. BUCHANAN: Can I make somewhat of a
25 recommendation here? The term that's usually used when

1 you're talking about a validated method is an official
2 method as opposed to one which has not been collaboratively
3 studied. The definition that is used for validation here is
4 more consistent with the way "validated" is used in
5 conjunction with evaluating a food process. It would be--
6 that definition would be the definition of "validation" that
7 we would use, for example, in HACCP, as opposed to an
8 official collaborative or verified method or validated
9 method, and in some cases there are not official methods.

10 DR. BENEDICT: So is it your suggestion--well, let
11 me start again. One of the concerns was that "validation"
12 as a term might strike fear into the hearts of people who
13 see it in a different context, and is it your suggestion
14 that using "official" would alleviate that discomfort?

15 DR. BUCHANAN: Certainly "official method" means
16 to us that a method has been reviewed appropriately by
17 either ourselves or a methods organization such as AOAC,
18 etcetera.

19 DR. BENEDICT: Does anyone have a comment on that?

20 DR. BENEDICT: I would like to suggest, Bill, Dr.
21 Obermeyer, you're much more involved in chemical methods
22 validation in terms of its vocabulary.

23 DR. OBERMEYER: Yes, I agree with your
24 understanding about "official" in there, but what we have at
25 this time is really a lack of AOAC or published validated

1 methods. So, again, if they could be internally validated,
2 and that could be the chromatographic and based on the
3 International Congress for Harmonization, the parameters set
4 up there, the rigor. It could be AOAC, kind of again
5 parameters that would be used to again indicate that these
6 methods were valid, not necessarily published in AOAC, that
7 those would be acceptable.

8 So we didn't want to necessarily indicate that
9 only official methods could be used, but as long as they
10 went through a lot of parameters, and they could be done
11 again internally, and it would be again very similar to AOAC
12 but they're just not going to be published in there. And
13 again, data to show the evidence of validation, you know,
14 would be needed.

15 DR. BUCHANAN: The other factor that I would like
16 to emphasize is that if an official method is available,
17 there is a very strong preference for its use as opposed to
18 an alternative method which may not have been validated. So
19 in the presence of both, the official method will almost
20 always have precedence.

21 DR. BENEDICT: I think that's an excellent point.

22 Dr. Hotchkiss?

23 DR. HOTCHKISS: I was going to make a similar
24 comment. In another part of life where I deal with
25 standards based on methodologies--not just chemical

1 methodologies, by the way. You can validate non-chemical
2 microscopy and identification methods, as well, so I don't
3 think that's an issue.

4 The way that this is normally handled is similar
5 to what Bob Buchanan just said. You start out with a
6 statement that says where--something to the effect of where
7 official or validated methods exist, they will be preferred
8 or used. Where they do not exist, where they do not exist,
9 the methodology must be internally--or whatever words you
10 wish to choose--validated and evidence given for their
11 validity.

12 That's the way JECFA, FCC, and others handle this,
13 rather than try to invent some new kind of word that--for
14 which the nuance, the differences are, to me at least in my
15 vocabulary, quite subtle between this definition of
16 "substantiation" and "validation," and seem not really to
17 address the issue.

18 The issue is that if you have a validated or
19 official method, that's what you will be required to use.
20 If you don't have that, you'll have to provide evidence that
21 the method you are using is meeting the needs. That's what
22 you want to do, and that's the language that I would suggest
23 that you incorporate, and forget about this new kind of
24 strange government words.

25 DR. BENEDICT: So, anyone else?

1 [No response.]

2 DR. BENEDICT: I'm not sure how to deal with this.
3 Let's let that go on the record in very declarative
4 sentences, as it did, and let's move to the next one for the
5 moment, and that would be the FDA Fish and Fishery Products
6 HACCP Regulations. We'll just discuss this, if we need to.

7 "Working group members request agency confirmation
8 that the FDA Fish and Fishery Products HACCP Regulations
9 apply to dietary supplements when the characterizing
10 ingredient is derived from fish and fishery products--fish
11 oils, fish cartilage. The report sections specific to
12 written procedures and records for receiving materials"--
13 etcetera, there--"guides manufactures to seek records that
14 demonstrate compliance with FDA Fish and Fishery Products
15 HACCP Regulations. However, members are uncertain as to the
16 applicability and extent of applicability of these HACCP
17 regulations to dietary supplements derived from fish or
18 fishery products, in particular since this information is
19 perhaps not generally available to a manufacturer."

20 Did we get a response from the FDA to this?

21 MS. STRAUSS: Yes, that that HACCP, as I
22 understand it, they do apply to the fishery products, so
23 substances such as fish oils and cartilage would be--would
24 need to be covered by HACCP.

25 DR. BENEDICT: Okay. The next one is one that is

1 definitely a minority report, Reporting Serious Adverse
2 Events to FDA.

3 "Some members believe that the written procedures
4 and records for handling complaints should guide
5 manufacturers to report serious adverse events to the FDA
6 MEDWATCH system to ensure that the agency has information
7 needed to protect public health."

8 "Because of existing concerns about the current
9 usefulness of the system this guidance was not included in
10 the report," after a vote of the working group, and this is
11 an area where we would like guidance from the people around
12 the table. Dr. Hotchkiss?

13 DR. HOTCHKISS: I'm trying to remember my
14 regulations, but as I recall, there already are regulations
15 within the CFR that require manufacturers to report such
16 adverse outcomes, and I would assume that they would be
17 applicable here as well. Could somebody perhaps address
18 that?

19 DR. BENEDICT: Dr. Larsen?

20 DR. LARSEN: I hope I'm not stepping in a place in
21 the wrong way here, but my understanding is that there are
22 requirements for certain drug products, yes, but for the
23 food products I don't believe we actually require that this
24 be reported to FDA under MEDWATCH.

25 DR. BENEDICT: Dr. Wang?

1 DR. WANG: The discussion here is reporting to the
2 FDA MEDWATCH, and there are some food products that require
3 reporting of those adverse events, like if you have low acid
4 canned foods, you have even under process, you have to
5 report. For infant formula, that the company is supposed to
6 review their complaints, and if there are complaints and if
7 there's any serious illnesses, they are required to report
8 to the FDA within 15 days.

9 And so this is the area that there were quite a
10 bit of discussion back and forth, and it was one that why we
11 put it in the minority report for the group to discuss.

12 DR. BENEDICT: Dr. Bolar?

13 MR. BOLAR: For the record, it's Mr. Bolar.

14 DR. BENEDICT: Oh, I beg your pardon.

15 MR. BOLAR: This is in error.

16 I just wanted to mention that some of us had
17 concerns about a requirement to report adverse events to
18 MEDWATCH, partially because we're not comfortable that
19 MEDWATCH is the appropriate vehicle for reporting those
20 kinds of complaints.

21 There are a number of discussions going on right
22 now as to how industry might be able to assemble and
23 communicate that information, and I think we generally view
24 that as an important element for assuring safety of dietary
25 supplements. But specifically MEDWATCH I think has some

1 issues that need to be discussed, and we didn't feel that it
2 was appropriate to include that recommendation in a guidance
3 document that would impact the GMP procedure that supplement
4 companies would have to follow.

5 So in general concept I think there's industry
6 support for something along this line, but we're not fully
7 convinced that MEDWATCH is the appropriate vehicle.

8 DR. BENEDICT: Dr. Applebaum?

9 DR. APPLEBAUM: I'd just like to mention that
10 there's also a working group that's looking at this as it
11 relates to passive surveillance for the dietary supplement
12 industry, and this is a project ongoing. So maybe we are--
13 so it is an issue. It is an issue of concern, and there is
14 a working group established by FDA to address this, similar
15 to what we have recently gone through with emerging science,
16 significant scientific agreement.

17 So it's currently being looked at, so it may not
18 be appropriate at this point in time to focus a lot of
19 effort and attention. I think it should be noted that this
20 was the recommendation of the advisory committee today, but
21 it is being looked at and it is a collaborative effort, if I
22 can call it that, between the government, between various
23 segments of the food industry, as well as university.

24 DR. BENEDICT: So would it be everyone's opinion,
25 then, that this is a moot question, if a working group that

1 involves FDA is dealing with it? Dr. Larsen?

2 DR. LARSEN: Just one point of clarification. The
3 working group is a working group of the Food Advisory
4 Committee. Okay?

5 DR. BENEDICT: It's one of those secret working
6 groups.

7 DR. LARSEN: No, no. This is one of three that we
8 started to institute a year and a half ago, one of which you
9 are discussing right this moment, but in terms of being able
10 to staff these working groups for you, we have had to step
11 them, step through them. And we're trying to get through
12 the GMP one, we've started the adverse event reporting one,
13 and there's a third one.

14 In fact, I have an e-mail here about a person
15 that's supposed to be on the third one, that's concerned
16 about not having heard about where her papers are. But
17 there's a third one on consumer research that, as soon as we
18 are able to conclude the GMP one and have the AER one firmly
19 on its way, we can then begin to start the third one. And
20 so these are all working groups of the Food Advisory
21 Committee.

22 DR. BENEDICT: So would then anyone have
23 objections to just striking this part from the minority
24 report and letting it be taken care of by the other working
25 group? Is there an objection to that? Dr. Applebaum?

1 DR. APPLEBAUM: I don't think to strike it. You
2 know, to have it remain and we just continue. You know,
3 because we're spending a lot of time discussing this
4 particular one--

5 DR. BENEDICT: Sure.

6 DR. APPLEBAUM: --but to strike it would not
7 accurately reflect the particular individuals that were
8 tasked with looking at this, so I think it should remain.
9 It is a legitimate concern, and it's as noted, but at this
10 point in time it's being dealt with as it relates to a work
11 in progress.

12 DR. BENEDICT: Dr. Wang?

13 DR. WANG: I echo that, is that maybe we just
14 amend it, that it's going to be looked at by another group,
15 and not to strike it out.

16 DR. BENEDICT: Fine. The next item on our
17 minority report, Performing Multiple Tests in Identifying
18 Whole Plants and Whole Plant Parts.

19 "Some members are concerned that the principles
20 outlined for performing multiple tests in identifying whole
21 plants or whole plant parts might create a loophole that
22 gives a means of escaping testing." We just wanted to make
23 sure it was noted that "Creating a loophole is not the
24 intention. The intent is to emphasize the need for multiple
25 testing and that sufficient identity tests should be

1 performed to ensure that what is on the product label is
2 true and accurate."

3 I would invite discussion of this, if there is
4 any.

5 DR. CROOM: Stephen?

6 DR. BENEDICT: Dr. Croom.

7 DR. CROOM: I haven't kind of heard an overall.
8 This strikes to some of the goal of the committee, because
9 when I look at this, I want it realized that we were looking
10 first at a performance standard, whether multiple or single,
11 are sufficient. And I think it's very key for the full
12 committee to realize, if they look at page 4 in the report
13 where we start, because there are so many details here, and
14 I'll go to line 36 on page 4.

15 It says "Performance standards must be
16 sufficiently accurate to distinguish or separate the
17 ingredient from other ingredients that could adulterate or
18 be confused with it." That's the real goal. And we start
19 off that paragraph, as you note, saying that the report is
20 not prescriptive.

21 And why I want to point this out is that if you
22 read this, realize as a guidance document, not a GMP,
23 because it's not stated very clearly in what I see is sent
24 to the Food Advisory Committee. But then we took a lot of
25 time coming up with examples, and unless they were taken in

1 context, say, well, how could you meet a performance
2 standard that would separate your ingredient from what it
3 could be?

4 But if you can keep that goal in mind, and that
5 it's the performance standards, because if you read all of
6 this, there's a prescriptive. In many of our discussions
7 we're dealing with those kind of details, that there was
8 obviously rarely it was what to know what to do to be right
9 or wrong that was economical and doable at the time.

10 Because I think it's important to realize that
11 what the committee did here was to look at--and I think this
12 should be spelled out very clearly, these are identity
13 standards at this time; that the way the proposed GMPs are
14 written pretty much apply to processors and manufacturers at
15 that site, and to say how can you make those identity
16 standards appropriate.

17 And there was, just as we've heard in the other
18 groups, a great discussion of what's doable now, and the
19 law, DSHEA even makes clear you cannot require any methods
20 that are not available now. And so part of the dilemma is
21 to say what do we develop that's available now, while trying
22 to set a situation--and that's why I'm keying in on
23 performance standards--that if in the future there were more
24 economical, more accurate, more applicable standards, that
25 this guidance is not frozen in concrete, right?

1 Because that would not serve our real mission
2 here. Our mission is to say what's on the label. And
3 therefore, let's face it, if we--because they are not AOAC
4 nor USP nor any other officially sanctioned methods for most
5 of these ingredients, we also ended up with more flexible
6 terms to try to reward innovation in whatever format, so it
7 could happen in a timely manner.

8 I do want to make one comment at this point,
9 Stephen, that in the future another additional thing that I
10 think must be done is that we have to expand this to the
11 ingredients at the time of collection, and that is excluded
12 in the proposed GMPs. And that exemption in my opinion
13 creates a huge opportunity for misidentification that cannot
14 be totally corrected later with large volumes of material,
15 no matter what analytical test is applies.

16 So if we're serious, what we need in the future is
17 a different edition of good plant practices that tell us
18 more guidance on how to identify the varying collection and
19 quality, whether from cultivated or not, and that will
20 expand beyond identity into what crop protection agents and
21 other quality issues.

22 But my point is that even for identity, identity
23 is in many ways easiest and most accurately done at the time
24 of collecting the whole plant, not later, if we want to
25 assure the correct species and plant parts. So that is one

1 whole area that we did not address and needs to be addressed
2 in the future.

3 DR. BENEDICT: Well, I agree with what you say,
4 but I thought we have some provision for that in the
5 document, in the first part of--I'm trying to find the page
6 and I seem not to be able to find it. I thought it was in
7 part four, where we addressed--

8 DR. CROOM: Well, we did, but what I'm referring
9 to is, if you read a proposed--as published, the ANPR, you
10 will see--and I'm not sure, because of printing this off the
11 web, if it's page 5702 or 5703 because of which way it
12 prints out, but there's an exclusion for the ANPR that says,
13 "establishment engaged solely in the harvesting, storage or
14 distribution of one or more raw agricultural commodities, as
15 defined in Section 201(r) of the Act, which are ordinarily
16 cleaned, prepared, treated and otherwise processed after
17 being marketed to the consuming public."

18 So all that means is that you're right, we spent a
19 lot of time discussing this, but if this exclusion stays
20 present, then it would rule a lot of that null and void that
21 we discussed, Stephen. That's what I just realized probably
22 last Friday in reading back what we didn't go through.

23 And so I think it's worthwhile to point that out,
24 that this is a consideration at the FDS to say, for example,
25 if we go to the details now, and considered it prescriptive

1 and not performance, I would say a farmer who's raising
2 1,000 acres of a plant, it's stated right now in our
3 guidance document that he would have to do microscopy,
4 chemistry and morphology. Well, that would be an absurdity
5 if the plants were properly identified.

6 On the other hand, there are certain problems of
7 scale that, of mixed lots and things, you have to do every
8 test you can because of the non-uniformity of the raw
9 material. So I think everything we recommended has some
10 basis in merit, but again I go back to I think there's only
11 one question, and that is our performance identity test, to
12 say, "Is it a system that you've developed that's tight
13 enough to assure us, and if it's botanicals, that it's St.
14 John's Wort, for example, and not another of the over 300
15 species of hypericum. Have you developed identity tests
16 that what's on your label is what is there?"

17 And I'll emphasize that that is not typically done
18 by chemistry, microscopy, or other methods to a definitive
19 level, and so you have to start at an earlier point where
20 you have whole plant materials, for products that are not
21 generally in cultivation and are not generally well
22 characterized. These are not cranberries or apples or
23 anything that's been well characterized in cultivation, that
24 you know your source, for many of these products.

25 DR. BENEDICT: Does anyone wish to respond to

1 these comments? Please.

2 MR. BOLAR: I just wanted to add that I think we
3 need to keep in focus here that much of what is written in
4 this document does pertain to the broad spectrum of
5 cultivation and all the way through the manufacturing of the
6 end product, whereas the ANPR is targeted toward the
7 manufacturer and the processor of ingredients, and I think
8 it's appropriately targeted there. But this document I
9 think really does encompass a broader scope, and there may
10 be other regulatory mechanisms that are more appropriate
11 toward the grower and the cultivate of these crops, but
12 these principles would still apply.

13 DR. BENEDICT: Dr. Applebaum?

14 DR. APPLEBAUM: I just have a question in regards
15 to products sourced overseas. If Dr. Croom could just
16 explain, would that be the responsibility of the purchaser
17 to--because, you know, I like what he's saying, and I just
18 want to know how in terms of practice that would be done for
19 products sourced from overseas.

20 DR. CROOM: I think the way we look at this is,
21 there are two things that happen on a company level. You
22 have to audit your supplier, your raw materials supplier,
23 whether domestic or overseas, and I would say just in that
24 audit that you need to know how well are their
25 specifications at the time of collection. In other words,

1 do they have them tight enough that they can give you
2 exactly the ingredient that you've purchased?

3 So that ties in, when we see GMPs, it has to do
4 with supplier audit as well as the specific ingredient. And
5 I would say for that specific you would have the same
6 question. If I'm buying--to me, in the food industry it
7 would be, just like a long time ago, it might be is it a
8 hard red winter wheat or a certain variety versus another?
9 And I need a certain specific type for the product I'm going
10 to manufacture. So you would expect that person to be able
11 to demonstrate to you that it was that particular type of
12 plant within even a species that you desire?

13 DR. BENEDICT: Do you have a follow-up?

14 DR. APPLEBAUM: Yes. Well, and then you would be
15 recommending that the necessary records be kept on the part
16 of the, for lack of a better term, the purchaser, the
17 customer of the--

18 DR. CROOM: I'm not suggesting that--I don't know
19 the total answer. I don't know that it's necessarily that
20 the final manufacturer keep that. I'd leave that open. I
21 would say it's certainly worth considering, just if you
22 could audit your supplier and their ability to do that
23 certain one, and I would turn it over to those of you in
24 industry to say how do you handle this.

25 I don't particularly feel the knowledge to say

1 that, but I think if you had that knowledge base, that these
2 ingredients would meet your specifications. I don't want to
3 decide who keeps the record. I'm just--we're at the
4 beginning to even say you should even ask those questions.

5 DR. APPLEBAUM: No, no, and I'm here playing
6 devil's advocate because it's an issue we're dealing with
7 right now as it relates to a term called "traceback," so
8 we're dealing with it as well.

9 DR. CROOM: And that's where I'll admit my limits.
10 You know, I'm just trying to be the technical expert to say
11 to prove the identity of that ingredient, you need to get
12 this information, and anything else logistically is not in
13 my expertise.

14 DR. BENEDICT: Dr. Wang?

15 DR. WANG: I just have a question regarding, on
16 page 14, line number 4 is that the waiver of this multiple
17 testing if their--if the lot is in quantity of less than 500
18 pounds, and we did not get into further discussion there, as
19 are we talking about whole plant in the wet weight form or
20 are we talking about in a dry weight form. The reason I
21 have this question is, if you translate the product into the
22 finished consumer units, they are totally different, dry
23 weight basis or the wet weight basis. So that's one, I
24 throw it out as clarification.

25 DR. BENEDICT: And that was something Dr. Ertl

1 designed for us. Is anyone able to address that? Mr.
2 McGuffin?

3 MR. MCGUFFIN: I believe that the intention was on
4 the weight basis in which the product was to be used, so if
5 you were manufacturing with an undried product and using
6 less than 500 pounds, at the point of manufacture it's still
7 not dehydrated, then that would be the relevant weight
8 basis. That's generally not the case. Almost all of the
9 material in the marketplace is dehydrated, so generally it
10 would be on a dried weight basis except in that specific
11 instance.

12 DR. BENEDICT: Dr. Wang, do you have more?

13 DR. WANG: Well, I have a follow-up. Again, for a
14 guidance document, the way I view it is that it's kind of
15 hard when you start putting a number on it. And even though
16 the intention is that you do have to conduct multiple
17 testing, because of certain--but when you start putting
18 certain exemption on a certain level, it could be
19 misinterpreted in the guidance document. Thank you.

20 DR. BENEDICT: Dr. Montville?

21 DR. MONTVILLE: Can you just tell us where the 500
22 pound number came from, not 50 or 5,000?

23 MR. MCGUFFIN: It primarily came from pragmatic
24 issues. We developed the concept of multiple testing in
25 work that Forouz Ertl and I undertook with some other people

1 in the industry in the middle of this process, when we
2 realized that it may have value. We had started by simply
3 trying to identify the tests that are relevant to the
4 different forms, and then Dr. Ertl proposed that multiple
5 testing is the best way to assure identity in the whole
6 form.

7 We then tried to examine what actually goes on in
8 the marketplace, and recognized that there are quite a few
9 of the smaller manufacturers who are producing their own raw
10 materials, people that are literally growing their own
11 products, drying them, making their products. And to go
12 back to Dr. Croom's example, in those cases they're
13 identifying it at an agricultural point. We thought that it
14 need not be subjected to multiple testing.

15 We made the number up out of whole cloth, but it
16 had to do with representing what we believe to be the upper
17 limit of companies of that size in their actual practices
18 today. So although we made it up, it had a reference in
19 practicality in actual use today.

20 DR. BENEDICT: Dr. Hotchkiss?

21 DR. HOTCHKISS: We're still dealing with the draft
22 minority report, is that right?

23 DR. BENEDICT: We are. We're just trying to get
24 through those, and then move to the--

25 DR. HOTCHKISS: Open up to broader issues?

1 DR. BENEDICT: --to the broader issues. And the
2 good thing is, we're getting lots of nice things on the
3 record for the FDA to mull over.

4 So the last issue--oh, sorry. Dr. Buchanan?

5 DR. BUCHANAN: I'd like to follow up a little bit
6 further on Dr. Croom's commentary, which seems to be a
7 minority report within the minority report. Your basic
8 recommendation at this point, technically, is that starting
9 the Good Manufacturing Practice at the plant, at least in
10 terms of identity of the botanical in question, is
11 inadequate; that it needs to go back to the source, the site
12 of cultivation. Am I capturing--

13 DR. CROOM: That's correct.

14 DR. BUCHANAN: Thank you.

15 DR. BENEDICT: Okay. The final issue in the
16 minority report series is Inclusion of Plantain/Digitalis
17 Case Study, and some members of the committee are of the
18 opinion that it would be instructive for individuals to see
19 how this happened. Others are of the opinion that it might
20 create obstacles in the context of being unnecessarily
21 critical and giving the impression that misidentification is
22 a widespread thing. And as you can see, it found its way to
23 the minority report series because we were unable to resolve
24 this. Would anyone like to question or comment? Dr.
25 Kuzminski?

1 DR. KUZMINSKI: I can appreciate the concern
2 expressed in the minority report about the inclusion of the
3 case study. It's a negative case study. My question is a
4 simple one: Is there a positive case study that could be
5 included along with it?

6 DR. BENEDICT: Does anyone have a suggestion?
7 It's probably sufficient that the record contains a request
8 for a positive case study. Hearing--yes, Dr. Wang?

9 DR. WANG: Could you elaborate? What do you mean
10 by a positive case study?

11 Before--can I make one comment about this?

12 DR. BENEDICT: Sure.

13 DR. WANG: Oftentimes--you know, I work for the
14 State Health Department--and oftentimes when we get involved
15 is when we hear there may be some problem, that it may
16 trigger a traceback because of illness and it may trigger a
17 recall. Again, expressing the reason for including this
18 case study, the way I felt, these are not that common. And
19 what I'm trying to say is that to include an example here to
20 show you, there is a lot of--there are opportunities that
21 could be misidentified; the herb could be misidentified.

22 And in this case this so true that--so it's just
23 to call to the attention. Maybe a few years from now when
24 people go through this guidance document, they have
25 forgotten. You know, most of the time you don't have

1 problem, but there is history that there had been a problem.

2 And so that was the reason why that the minority
3 was put in, because it was said that whether we should
4 include something. When we have a problem, we learn from
5 our problem, we correct from our problem, and that it will
6 never happen again. Sometimes it does, so there's cases
7 there to show you not to do it.

8 DR. KUZMINSKI: Thank you. I'm for the inclusion
9 of this case study, but if there is a concern that it
10 represents a negative viewpoint about the frequency of
11 misidentification, perhaps, or lack of identification, then
12 why not include an example where the industry has lots of
13 evidence that the actives have been properly identified?
14 And that's what I mean by a positive case study.

15 DR. BENEDICT: So would you be comfortable with
16 some sort of enumeration that out of X number, only Y number
17 were misidentified, but we provide you with that one for
18 edification purposes? I would assume that greater than 99
19 percent of things that are on the market are properly
20 identified and not adulterated. Would that raise your
21 comfort level? I think just a positive case study would be
22 almost anything, I would think, unless I'm missing the--

23 DR. KUZMINSKI: I think it would be up to the--I
24 don't want to sidestep this really at all, but I think it
25 would be up to the working group to recommend, if they agree

1 with the concept of inclusion of a positive situation. I
2 guess my point is, I'm trying to--what's the spoonful of
3 sugar here that's going to help the negative case study go
4 down?

5 DR. BENEDICT: Dr. Obermeyer, did I see a glimmer
6 of a response?

7 DR. OBERMEYER: Well, you made a statement that
8 most of them may be--a very high percentage may not be
9 adulterated. Adulteration takes a wide, I guess, berth here
10 in this one, and we didn't address potency or anything like
11 that there, and we're only looking at main identification,
12 so I wouldn't want to go that far and say percentages of
13 them are unadulterated.

14 DR. BENEDICT: Thank you.

15 Dr. Hotchkiss?

16 DR. HOTCHKISS: Generally, in my experience case
17 studies, even if negative, can be very instructive and
18 prevent future problems, and therefore I think it's a very
19 useful thing to include.

20 DR. BENEDICT: Thank you. So if there are no more
21 comments on the minority reports--did I skip one? I beg
22 your pardon. Did I skip the Reliance on Authenticated Plant
23 Reference Material? Well, then, let's deal with that.

24 "There is a concern that the recommended use
25 throughout Sections IV and V and in Appendix E of

1 'authenticated plant reference material' as defined in the
2 glossary is unnecessarily narrow. This position expresses a
3 belief that the term 'authenticated or other accurately
4 identified plant reference material' provides more practical
5 guidance. An alternate approach is to delete the words 'by
6 a qualified plant taxonomist' from the definition of an
7 'authenticated plant reference material' or to change these
8 words to 'by a person qualified to make such determination.'
9 This position believes that such deletion is consistent with
10 the inclusion of experiences other than academic studies in
11 the glossary definition of 'training or education,' and does
12 not in any way lessen the recommendation that only plant
13 material that has been positively identified be used as a
14 reference."

15 Comments are welcome.

16 DR. CROOM: Stephen, since I was the one that
17 brought this term to the committee, I think--I would welcome
18 others that resolved it--as long as it's an alternative,
19 what I wanted is a tight enough definition to say
20 authenticated plant material is determined by a competent
21 plant taxonomist. It did not become a single choice or
22 anything, but a reference tool, and I think at that point
23 this issue probably went away.

24 My concern was, I did not want the definition
25 diluted to a point where it wasn't clear where that

1 particular tool was well defined, how it was done. And
2 there could be other alternatives, as we have in here, of
3 in-house reference materials and other options of technical
4 guides that can be used. So I think we're okay on that.

5 DR. BENEDICT: Dr. Buchanan?

6 DR. BUCHANAN: Just for my own edification on the
7 areas that were covered, typically when you have a series of
8 methods that involve microscopy, at least in the areas that
9 I'm familiar with, in microbiology and pathology, et cetera,
10 what you test is the effectiveness of the operator through a
11 series of consultations or a quality assurance program.

12 In association with this plant reference material,
13 and also your section on validated methods, I did not see
14 any discussion of QA programs for methods that by their very
15 nature are subjective and typically would require this kind
16 of consultation. I know it's a standard practice in
17 pathology, that the pathologists periodically get known
18 samples that are known to somebody else and they have to
19 accurately identify them. Were these kind of processes
20 considered in your deliberations?

21 DR. BENEDICT: Dr. Obermeyer?

22 DR. OBERMEYER: In the, I guess the art of
23 botanical microscopy, since it's basically a dying art, we
24 did not go back into looking at accreditation for this
25 technique at this time because of the lack of reference

1 material, lack of experience. There are things that are
2 coming up now to help positively identify, but it's not
3 accreditation. There would be authenticated reference
4 materials on a CD ROM for comparison. But no, we did not
5 look at accreditation for the actual microscopist at this
6 time.

7 DR. CROOM: And I would say that--

8 DR. BENEDICT: This is Dr. Croom.

9 DR. CROOM: Dr. Croom, yes. Thank you. We're
10 just developing where even the materials to come to your
11 thing to say, just like you have authenticated chemical
12 reference materials that have run through your test, the
13 point I'm trying to make here is just that very first step
14 that's just to say, someone who's qualified for that
15 particular plant, to even assure you that that plant
16 reference material is the true species and plant part to use
17 as your reference material is even accurate. We're just
18 starting to develop the tool at that little baby step, and
19 you're taking us to a wonderful direction, but you can
20 imagine we don't even have that first step yet.

21 DR. BENEDICT: Dr. Applebaum?

22 DR. APPLEBAUM: Well, I guess I have a concern,
23 and I appreciate that statement you made about this being a
24 dying art. But you have a dying art involved with products
25 that are very popular, so--and again, that's--that leaves me

1 troubled.

2 DR. OBERMEYER: Well, even with that dying art, we
3 are trying to revive it. CPR is being done by FDA and
4 through CFSAN to give training sessions. Stanley Chicowitz,
5 who you met the last time, we have successfully given three
6 or four of these week-long courses to again extend this
7 experience back out into the industry, and there is only FDA
8 and I think it's McCrone's Institute, and I think Ed Croom
9 has a little bit of his university doing some microscopy
10 training, but at this moment we're really giving CPR to
11 this.

12 It's a very good, simplistic type of testing, and
13 it can be done by a wide range of even economically burdened
14 groups. So, I mean, we would like to have this come back,
15 and there are some I guess fine points to overcome before it
16 can be again widely used.

17 DR. BENEDICT: Mr. McGuffin?

18 MR. MCGUFFIN: I'd like to also address your
19 concerns. It's very much a dying art compared to what it
20 was a century ago, but the body is much warmer today than it
21 was a half a decade ago. There is significant activity
22 being undertaken in England. There are some microscopists
23 that are busily putting the references together, primarily.
24 That's the tool that's needed. The American Herbal
25 Pharmacopoeia will publish a document of something over 100

1 verified references within the next 12 months. So it is
2 relatively a dying art but, again, it is--the resurgence is
3 real. The industry has recognized the usefulness of this
4 particular testing method for the materials that we use.

5 DR. BENEDICT: Dr. Harlander?

6 DR. HARLANDER: The document also includes a
7 number of reference books, as well. As I recall, some of
8 those were brought to the Chicago meeting, and so it's not
9 like there's nothing out there. I mean, they're not books
10 that might be familiar to those of us who are like in the
11 food industry, but for those that are in this area, we had a
12 pretty long list of other reference books.

13 DR. CROOM: Let me just add, there are references
14 in the back of the report, to add this to your answer. The
15 new tools we're talking about is both training people, and I
16 would say because of the lack of trained people or people
17 that were at formal courses longer than a week. Both in my
18 group and others, as Bill has mentioned, we're trying to
19 make more user-friendly--you know, start out with even
20 "Here's this cell type to that, to this sort of thing," so
21 you could have a lab technician not even trained in
22 microscopy, be able to apply these tools to do the
23 definitive identity.

24 DR. BENEDICT: Okay. Thank you.

25 Ms. Strauss, do you have something to add?

1 MS. STRAUSS: Yes, I just wanted to point out that
2 even though in the document we haven't specified what would
3 be the qualifications for a particular type of task, we have
4 given some information on the identification environment
5 that includes the personnel and the equipment and supplies,
6 and it does address that personnel should be qualified to do
7 the task that they have been assigned to do and gives a
8 little bit more detail. But it hasn't been left
9 unaddressed. It is there.

10 DR. BENEDICT: Okay. Let's now move if we can to
11 general discussion of the document, the draft report that
12 you were presented with. Any questions? Dr. Sigman-Grant?

13 DR. SIGMAN-GRANT: Yes, and I hope I'm not opening
14 up a can of worms here, but throughout the references to
15 reporting and procedures, in a variety of places, but in
16 particular the first one I found was on page 23, line 12,
17 there's a reference to "Records should be retained for 1
18 year after the expiration of the shelf life of the dietary
19 supplement," and I was wondering how that is determined.
20 And I don't know if that relates to potency or other issues,
21 but it's throughout the records section.

22 DR. BENEDICT: Would anyone like to comment? Mr.
23 Bolar?

24 MR. BOLAR: Your question is how is the expiration
25 date determined? Well, that will vary from product to

1 product. It will depend on the claims that are made for the
2 constituents. If a specific one or more components with a
3 potency claim is made, then the expiration date is generally
4 keyed off of the level of that ingredient as it deteriorates
5 over time.

6 And these are generally determined through real
7 time studies. Many times, however, accelerated stability
8 studies are used. Particularly in vitamin and mineral
9 products, accelerated stability is used. It's a little more
10 problematic to apply those methods to herbal and botanical
11 products, however. Other factors are taken into account, as
12 well: microbiological, taste, odor, etcetera.

13 DR. SIGMAN-GRANT: Might that not be addressed by
14 including that in the definitions section, a little bit, a
15 descriptor of what you've just said, as an addition to this
16 document?

17 DR. BENEDICT: You're speaking of a definition of
18 how expiration dates are arrived at?

19 DR. SIGMAN-GRANT: Because there's nowhere else
20 mentioned in the previous part of the document, and then it
21 just appears.

22 MR. BOLAR: The concept of stability studies is
23 addressed in the ANPR, and it's somewhat, I think, beyond
24 the scope of what we were addressing here. But if it would
25 serve some purpose, I'm sure some sort of definition could

1 be included.

2 DR. SIGMAN-GRANT: It's just a suggestion.

3 DR. BENEDICT: Thank you. Anyone else? Oh, Dr.
4 Hotchkiss, sorry.

5 DR. HOTCHKISS: One of the eight major issues
6 addressed, as I read through this document, is identifying
7 particular substances, different herbs and so forth,
8 obviously a critical issue. Not a lot different than
9 identifying other food ingredients, food ingredients of
10 botanical origin or plant origin, for example, gum arabic,
11 carrageenin. The list goes on and on and on.

12 The approach taken in those cases, both in terms
13 of commerce, labeling and regulation, is to write a
14 specification for what gum arabic is, and including
15 methodology to confirm that, purity, safety issues, da da di
16 da, rather long. I'm sensitive to this because I find
17 myself in the unenviable position of doing this for life.
18 JECFA, FCC, U.S. Pharmacopoeia, da da di da, on down the
19 list. You've mentioned or a couple of people mentioned an
20 Herbal Pharmacopoeia that's apparently in the works or
21 available.

22 I was just--and maybe I missed it, maybe I over-
23 read it, but when I was reading through here I kept
24 expecting to find some place where it was going to say that
25 committees of experts or whatever would define these things,

1 for example, would say what St. John's Wort is, and that
2 would then become the standard for units of commerce, which
3 is what happens with gum arabic. It eventually, usually,
4 for things like gum arabic, gets translated into the CFR and
5 so forth, and eventually becomes a world standard for these
6 things, and seems to be a reasonable approach for other food
7 ingredients. Did I just miss that in this document? Was it
8 not considered? And if not, why?

9 DR. BENEDICT: Comments? Okay, I guess that was
10 the comment. Dr. Obermeyer?

11 DR. OBERMEYER: Actually, we did consider that,
12 and American Pharmacopoeia does develop monographs. USP is
13 also in the process of defining a lot of these articles, and
14 we have considered that. The actual identification of a lot
15 of these materials had already been done in the older
16 editions of the Dispensatory. And so microscopic
17 identification, a lot of the chemical constituent
18 extractions, things like that, have been defined, but they
19 are being reinvestigated by AHP and USP to make a better,
20 more modern determination of these constituents.

21 DR. CROOM: That's very true. There are a lot
22 of--

23 DR. BENEDICT: This is Ed Croom.

24 DR. CROOM: Ed Croom. Sorry. There's a lot of
25 initiatives. We mentioned some of these here. I'm in total

1 agreement with you, though, that a couple of years ago, when
2 we take the broad spectrum, to me--we have CFRs, we have
3 food chemical Codex--there's a need to be a compendium of
4 dietary supplement ingredients with specification. I think
5 there's a crying need for that to be done by an objective
6 body.

7 I will append to that, though, because I am on the
8 U.S. Pharmacopoeia, also, and when you come to me in my
9 botanist hat instead of my pharmacognosist hat, I'll tell
10 you that gum arabic, some of these gums and resins are not
11 clear to me what species they're really coming from. So I
12 would still add to that that we need a good plant practices
13 in front of that ingredient kind of compendium to assure
14 what's the range of the species giving us that ingredient
15 specification for these, for these products.

16 DR. HOTCHKISS: Well, you may be right about U.S.
17 Pharmacopoeia, but not in FCC and JECFA, because I wrote
18 them in JECFA. I know what--

19 DR. CROOM: You know, all I'm--

20 DR. HOTCHKISS: FCC's is very carefully defined.
21 It's a--

22 DR. BENEDICT: Get closer, please.

23 DR. CROOM: Right, and all I'm saying is, at this
24 point for many of these botanicals we don't know what's
25 actually being collected--you probably do, then, in what you

1 wrote--and which ones their sources are. And so I'm just
2 saying we're going to need both to develop that ingredient
3 specification.

4 DR. HOTCHKISS: Let me ask you, though, if you
5 don't know what's being collected, as you indicated, then
6 how does any of this apply to it? That seems to me to be
7 incongruous. You're saying that we don't know what whatever
8 wort is, but--

9 DR. CROOM: Okay. Let me qualify--

10 DR. HOTCHKISS: --but we're going to do these
11 analyses on it.

12 DR. CROOM: Let me qualify that. Many times in
13 commerce, just like when I'm talking about especially some
14 of the gums and resins, you may start out with a number of
15 species and it just be called one. It's a little bit, not
16 just do we not know from anything, but I'm just saying the
17 precision of exactly what all is being called that one
18 species, to my standards may not be being met. Okay?

19 DR. HOTCHKISS: Yes. I'm sorry, you've confused
20 me a little bit. Are you saying, for example, if I'm
21 talking about carrageenin, that that is not carefully
22 defined? I can tell you that they went clear to Bill
23 Clinton to define what carrageenin is. That's the truth. I
24 guess I'm a little--

25 DR. CROOM: I'm not trying to get into those

1 specifics. I'm just saying for many--realize that for many
2 botanicals we have over 1,500 species, and I'm just
3 suggesting to you that it's good to start that ingredient
4 specification with also good plant practices as well as an
5 ingredient specification.

6 DR. BENEDICT: Dr. Kuzminski, did you have a
7 comment?

8 DR. KUZMINSKI: Yes, thank you. I've read through
9 the document in a kind of detailed way, and it's quite a
10 detailed document. It's just an observation I'd like to
11 share.

12 If the intent--and I guess I'm a little torn in my
13 feeling--if the intent is to provide guidance in Good
14 Manufacturing Practices to the dietary supplement industry,
15 and we've had discussion here and we've heard in the past
16 elsewhere in our lives on perhaps the uneven playing field
17 between food products and dietary supplements. Coupled with
18 that, we've heard discussion earlier today that dietary
19 supplements are a food.

20 So if we're to provide guidance here in this
21 document on how to make a product, how to make a dietary
22 supplement, how to analyze what to do for the active, how
23 often, what records to maintain, etcetera, etcetera, I think
24 that's a very valid objective of the document. But then as
25 I read the document, I harken back to my experience in

1 pharmaceutical manufacture, and the tone of the document
2 seems to resemble that more than it does in terms of
3 providing Good Manufacturing Practice guidance for a food
4 product.

5 Now, I don't necessarily feel that's wrong,
6 because dietary supplements, in terms of what the
7 manufacturer can say about them on their package, as the
8 regulatory situation exists today, is guidance in terms of
9 structure, function claims, et cetera. So I see a mixture
10 of guidance, if you will, that's more from a practical
11 viewpoint, formatted, required of a drug necessarily, a
12 pharmaceutical, yet is applying to something that's
13 classified as a food product.

14 I think there's a need for that little extra
15 emphasis because of things that the manufacturers say about
16 the dietary supplements. And I don't know if that's an
17 issue or not for the FDA, but I think it will be an issue
18 for the industry.

19 DR. BENEDICT: Thank you.

20 Dr. Wang?

21 DR. WANG: Just a point of clarification. We were
22 discussing some of the gums. The purpose of using those
23 things are actually to improve the functional properties of
24 food products during manufacture, and CFR 21 has really
25 clearly spelled out your graphs, you go through the

1 petition, generally recognized as safe.

2 In this dietary supplement, and we had a lot of
3 discussion, a lot of these source materials are not from
4 this country. They may be gathered from the wild in foreign
5 countries and then brought in here, see. And so that's why
6 the raw material testing was important, necessary. And
7 we're seeing a lot of different types of herbs, and of
8 course the functional properties of these things serves
9 differently than when you have ingredients that's going to
10 become a component of a food. This is the specifically
11 targeted substances.

12 DR. HOTCHKISS: Excuse me. I'm Hotchkiss. I have
13 to tell you gum arabic only comes from Senegal in Africa.
14 Pterygium, by and large, only comes from a couple places in
15 the world, as well. I mean, I guess I don't understand the
16 difference. I understand that there are differences in the
17 way they must be regulated and defined by the law, but the
18 worldwide source is no different, actually, of these things.
19 In terms of food ingredients, you're correct, they are added
20 to food for a specific functional purpose.

21 DR. BENEDICT: Mr. Bolar?

22 MR. BOLAR: I wanted to respond to the earlier
23 question. Mr. McGuffin and I were both party to an industry
24 group that helped draft the original document that was used
25 for the ANPR, and we recognize that under DSHEA, that it

1 provides that any dietary supplement GMP be based on food
2 GMPs.

3 And many or some in industry feel that that is all
4 that should be necessary, but I think the majority feel that
5 because of the manner in which these products are
6 manufactured--there are many similarities to the way drugs
7 are manufactured--the types of claims, both in terms of
8 claims about effectiveness as well as the quantitative
9 claims that we make, make these products very similar to
10 pharmaceuticals in many ways. And to the extent that GMPs
11 for foods are designed to assure the safety of food
12 products, we felt that many of these factors that apply to
13 pharmaceuticals were also applicable to dietary supplements,
14 in order to assure their safety as well.

15 So I think there will be some who are concerned
16 about the pharmaceutical nature, perhaps, of this guidance
17 as well as the ANPR, but I think the majority of industry
18 recognizes that as necessary.

19 DR. BENEDICT: Dr. Kuzminski?

20 DR. KUZMINSKI: Thank you. Just to show you that
21 I really did read it in detail here, on page 9--and I think
22 there's just a little nip there on--under "Certificate of
23 Analysis" on line 25 the word "written" is omitted, where as
24 on page 21 on line 1 it's included. And I think the
25 intention is to have it in there, so--

1 DR. BENEDICT: Thank you. Additional comments or
2 questions? Dr. Applebaum?

3 DR. APPLEBAUM: This has been an education
4 experience--

5 DR. BENEDICT: Microphone, please.

6 DR. APPLEBAUM: This is an education experience
7 for me, so again my questions might appear naive, but this
8 term "wildcrafted," these plants growing in the wild, I'd
9 just like to ask Dr. Croom again in terms of--or
10 representatives from the industry--in terms of what
11 percentage of product coming into the United States or used
12 in the manufacture of dietary supplements that are sold in
13 the United States would come from "wildcrafted" sources?

14 DR. CROOM: I'll see if industry has some
15 statistics, but let me say there are going to be two
16 different questions here you ought to ask. One is the
17 diversity and the other is the tonnage, and I think they're
18 both important.

19 In other words, if we looked at diversity, I can
20 answer that. Diversity, a huge majority is from
21 wildcrafted, of the diversity of the species. I'd have to
22 ask industry if we have numbers on the tonnage.

23 MR. MCGUFFIN: This is Michael. We actually do
24 not have good tonnage data that would organize what
25 proportion of the total mass is from wild products.

1 DR. BENEDICT: Okay--

2 DR. APPLEBAUM: Just one point, then. Then it
3 goes back to Dr. Croom's. It's going to be difficult, but
4 again, I support your statement very much, for identity to
5 be done at the time of collection. And again, I'm thinking
6 about, you know, wild mushroom pickers, you know, from
7 Wisconsin, you know, in terms of they go out and, you know,
8 that type of, but I'm just thinking in terms of the
9 complexity of this problem.

10 DR. CROOM: I can assure you, both as a plant
11 taxonomist and actually someone who, not on a regular basis
12 but even in my college days as well as recently, I go out
13 with commercial collectors. I know the mistakes they make,
14 even in the U.S. I know the standards versus a wildflower
15 guide that you would even have to use to identify.

16 All this is easily and economically correctable--
17 okay?--to improve our precision, and I can assure you that
18 we have to start with the people saying more specifically
19 that if you have a small, well known plant in a well defined
20 area, you're not going to have much problem with well
21 defined. This market has taken off, and like any market
22 taking off, you don't keep the same people who have been
23 doing it for years, that knew what they did. It's a huge
24 economic opportunity, and at that point your standards for
25 identity, starting in the field, have to be tighter or it

1 will be corrupted.

2 I'm sorry.

3 DR. BENEDICT: Yes, I think we've made that point
4 very strongly for the record.

5 Let's welcome Mr. Levitt, who has just joined us.
6 Welcome.

7 MR. LEVITT: Thank you. Continue.

8 DR. BENEDICT: Thank you.

9 So my feeling is that most everything that
10 everyone wants to have said or to have asked, that pertains
11 to whether we're going to accept this report or not, or
12 whether you would like to have the FDA consider additional
13 points or not, has been said. And under that assumption, I
14 would rather not ask the opinion of the committee on every
15 small point that we have discussed.

16 What I would like to do, with your permission--and
17 feel free to object--is the same thing we did with the
18 previous document, that is, vote or give your opinion to
19 accept or reject it. If it's accepted to be transmitted to
20 the FDA, it will be with the understanding that all of our
21 comments that we have made will be considered strenuously
22 for incorporation or for removal from the document.

23 In fact, if no one wishes to object, I will just
24 declare that that's what we're going to do, without having
25 to poll the group. If there are a few straggling comments

1 that you would like to incorporate into the record, why
2 don't we do that now, or of course object to what I've just
3 proposed? Dr. Hotchkiss.

4 DR. HOTCHKISS: Just a point of clarification.
5 Are you putting in with that up or down vote the whole of
6 the minority report?

7 DR. BENEDICT: Yes.

8 Dr. Buchanan, did you have a comment?

9 DR. BUCHANAN: Same exact question. Thank you.

10 DR. BENEDICT: All right. Hearing nothing else,
11 let's move--I'm sorry, Dr. Applebaum. I missed your hand.

12 DR. APPLEBAUM: I'm so sorry. I just want to make
13 sure, a clarification, that Dr. Croom's comments will be
14 part of this document.

15 DR. BENEDICT: Oh, yes. Well, they'll be part--
16 they'll be considered by the FDA for inclusion in the
17 document.

18 DR. APPLEBAUM: Okay. All right.

19 DR. BENEDICT: Is that--am I accurately
20 representing what we're going to do here?

21 DR. LARSEN: Yes.

22 DR. BENEDICT: Yes, the answer is yes?

23 DR. LARSEN: Yes.

24 DR. BENEDICT: The Executive Secretary has said
25 yes. Be that noted.

1 DR. APPLEBAUM: I hate beating--

2 DR. BENEDICT: Dr. Applebaum, you're still here.

3 DR. APPLEBAUM: You know, I hate beating a dead
4 horse on this one, but I just want to make sure in terms of--
5 --if I'm out of order, then I apologize--that perhaps Dr.
6 Croom's comments in terms of the identifying being done at
7 the time of collection--

8 DR. BENEDICT: Yes.

9 DR. APPLEBAUM: --is not part of this. Correct?
10 Am I correct on that? Is that my understanding?

11 DR. BENEDICT: I think there are those of us who
12 think it is, but it could be--

13 DR. APPLEBAUM: Then if it is, then this is--

14 DR. BENEDICT: --it could be emphasized perhaps a
15 little more strenuously.

16 DR. APPLEBAUM: If it is part of this document,
17 then I am--that's my last statement.

18 DR. CROOM: I have to qualify that.

19 DR. BENEDICT: We will flagellate this deceased
20 equine.

21 DR. CROOM: I have to qualify that. The
22 recommendations of how to implement that are there. What
23 I'm pointing out is as you're saying, but in the ANPR
24 there's an exclusion of harvesters and processors. So if
25 it's in the document and if that exclusion stays, then it

1 wouldn't matter what we said here. So we have to address
2 the issue of how we have good plant practices, starting with
3 identity and quality, at the time of collection. Okay?

4 DR. BENEDICT: Yes. So we're all, as they say,
5 squared away, or maybe the past tense is "squorn" away. All
6 right, so we are pretty close to where we should be,
7 according to the schedule, even though we thought we would
8 be faster. And the schedule calls for pressing forward for
9 another 30 minutes and initiating our discussion of
10 Significant Scientific Agreement, and I think that's what we
11 will do. Let me just collect my notes here.

12 We're joined at the table--oh, wait. Yes?

13 DR. LARSEN: Dr. Kuzminski was trying to raise
14 this issue.

15 DR. BENEDICT: I'm terribly sorry.

16 DR. LARSEN: You didn't go through the final round
17 of getting the committee's agreement on up or down.

18 DR. BENEDICT: I suppose I paused, and I thought
19 you would object if you wished to. That's my error. Shall
20 we go through the committee and seek acceptance or rejection
21 of this document? Now, clarify for me who is able to
22 exercise an opinion on this. Just the FAC as it stands?

23 Dr. Applebaum?

24 DR. APPLEBAUM: I was beginning to think maybe you
25 didn't want me to give--

1 DR. BENEDICT: I thought about having you cut off.

2 DR. APPLEBAUM: But I endorse this going forward

3 to FDA.

4 DR. BENEDICT: Thank you.

5 Dr. Brackett?

6 DR. BRACKETT: Accept.

7 DR. BENEDICT: Ms. Richardson?

8 MS. RICHARDSON: Accepted.

9 DR. BENEDICT: Dr. Montville?

10 DR. MONTVILLE: Accept.

11 DR. BENEDICT: Dr. Sigman-Grant?

12 DR. SIGMAN-GRANT: Accept.

13 DR. BENEDICT: Dr. Hotchkiss?

14 DR. HOTCHKISS: Accept.

15 DR. BENEDICT: Dr. Kuzminski?

16 DR. KUZMINSKI: Accept, the same.

17 DR. BENEDICT: Thank you. I appreciate the heads-
18 up, as they say.

19 So we're joined at the table by Ms. Susan Pilch,
20 whose name tag is being--oh, I'm sorry, I'm very sorry--Dr.
21 Susan Pilch, whose name tag is being held up for us. She's
22 the second person from FDA who's been involved with us. Dr.
23 Chris Lewis began 50 years ago when we started this process.
24 Are you not joining us at the table?

25 DR. LEWIS: I'll stay here.

1 DR. BENEDICT: Okay, she will stay in the
2 audience, and Dr. Pilch will be our support person for this
3 discussion.

4 I will begin, and it is our intent to do this
5 similarly. I'll give you the briefest wisp of an
6 introduction--most of us are pretty familiar with the
7 issues--and try to establish points for discussion, and then
8 we'll ask Dr. Pilch, perhaps Dr. Larsen, perhaps anyone from
9 FDA who wants to comment, and then we will open it for
10 general discussion.

11 So through NLEA, Congress required that the FDA
12 use a scientific standard to authorize health claims. They
13 wanted the claims to be of long-standing nature, such that
14 changes would be rare, and the consumer could make long-term
15 plans for his or her lifetime about increasing their general
16 well-being. So the considerations that were designed to be
17 included in this concept were the totality of the publicly
18 available evidence, and that there should be significant
19 scientific agreement that the evidence supports this claim.

20 And when we began this process, we arrived at the
21 opinion--sort of--that this means that after all the
22 available evidence has been considered, that a number of
23 qualified experts must achieve significant scientific
24 agreement. It was our early opinion that this does not mean
25 that all studies have to reach the same conclusion, and it

1 does not mean that all experts are in total agreement,
2 reaching total consensus.

3 It was further mandated that the studies should be
4 well designed and well conducted. They should have
5 scientific credibility. Because of the varied types of
6 studies, and probably the varied number of studies that
7 would be available, we concluded early on that a very
8 specific definition would be practically impossible to
9 obtain, and that some sort of generality had to be built
10 into the document.

11 By this, I mean it would be not in the public's
12 best interest nor in industry's best interest to say, "We
13 require you to have this many clinical trials conducted in a
14 certain way. This kind of information was not likely to be
15 obtained.

16 And so to generate the report, the working group
17 began with the results of the Keystone dialogue. We held a
18 number of meetings, conference calls, passed around several
19 reviews of drafts. Our major goal was to provide the FDA,
20 and therefore provide to petitioners to the FDA, with a
21 framework by which they could plan studies and by which they
22 could evaluate studies that would lead to a health claim.

23 Our outline that we began to work with included
24 that we should describe what a good study was, and that we
25 should give an idea of which studies would have more weight

1 when presented to a group of qualified scientific experts.

2 We had hoped to provide suggestions about
3 measurements and measurements of food substances during the
4 studies. We wanted to provide guidance about how to
5 evaluate the various studies that might exist, and of course
6 incorporate a little bit of a suggestion about how
7 statistics should be applied to this.

8 We wanted to make it clear how the totality of
9 evidence could be elucidated and how quality could be judged
10 within the bounds of what we were able to do, and of course
11 to elucidate what was meant by "significant scientific
12 agreement." Especially in light of recent things, this has
13 become more important.

14 So in the final analysis for significant
15 scientific agreement, does the evidence in support of the
16 claim outweigh the evidence against the claim, as adjudged
17 by a group of disinterested, highly qualified,
18 scientifically qualified personnel?

19 And so we present to you the results of a lot of
20 effort by a lot of people on the working group and within
21 the FDA, and I will first ask Dr. Pilch if she has anything
22 she would like to add, and then we'll open it for
23 discussion.

24 DR. PILCH: No, I think you've done an excellent
25 summary of what we were trying to do with our approach, to

1 give petitioners some idea of what it means to look at the
2 totality of the evidence, what sort of evidence can be
3 considered, how that has to be looked at in terms of
4 individual studies of quality, and the overall evaluation of
5 the body of evidence that has to be present to justify a
6 health claim, and then how significant scientific agreement
7 can be assessed from that.

8 DR. BENEDICT: Thank you. And you have before you
9 the original charge to the working group which I'm sure
10 you've all read, and the suggested product.

11 You will note that somewhere in here it asks for
12 software. We'll just eliminate that from discussion now.
13 We never got that far. The reason is, the FDA at the time
14 didn't have a lot of software going on, but now, in the
15 ensuing three or four years, most things end up as software
16 with the FDA, and the working group just figured that's
17 going to happen. So if you're looking for where that is, it
18 isn't.

19 Are there questions? Dr. Applebaum, welcome.

20 DR. APPLEBAUM: I'm only asking this, Mr.
21 Chairman, only because I know others want to ask questions
22 but they always want someone to go first.

23 DR. BENEDICT: And we appreciate you being the
24 initiator.

25 DR. APPLEBAUM: On page 17, if I could just ask

1 for what is meant by the term "disinterested."

2 "Significant scientific agreement can be supported
3 based on an objective"--I understand that--"and
4 disinterested review of"--

5 DR. BENEDICT: Could you get a little closer to
6 the microphone?

7 DR. APPLEBAUM: I just have a question in terms of
8 an expert reviewing the data is disinterested. I'm getting
9 caught up in my terms, so if someone from the working group
10 could explain that.

11 DR. BENEDICT: Would you like to go for that?

12 DR. PILCH: Yes, I would say--

13 DR. BENEDICT: This is Dr. Pilch.

14 DR. PILCH: --yes, that this would imply
15 independence and no vested interest in the outcome of the
16 review.

17 DR. BENEDICT: As opposed to not interested in
18 what's going on.

19 DR. PILCH: Yes.

20 DR. APPLEBAUM: Because I understand the issues
21 surrounding, for example, an industry expert, and let's use
22 oats for an example. If you're an industry representative
23 who is also an expert in oats and nutrition from oats, there
24 is going to be definitely an issue surrounding conflict of
25 interest. But if I'm a researcher and my whole career--and

1 I'm in academia--has been spent looking at oats, I'm not
2 necessarily going to be disinterested.

3 So I'm just raising that, because sometimes there
4 is--there does arise an issue. So I'm saying that I don't
5 want those oat experts in academia to be eliminated because
6 they have an interest based on their career research in this
7 particular area.

8 DR. BENEDICT: Dr. Hotchkiss, did you have a
9 comment on that?

10 DR. HOTCHKISS: I had exactly the same question,
11 and I guess you probably have thought this through very
12 carefully, but it is often impossible to find an expert,
13 wherever they come from, who is disinterested. If they
14 really are an expert, they may have an interest. Usually
15 the words are framed somewhat differently in terms of
16 "independent expert," "without apparent bias," and such as
17 that.

18 But a "disinterested expert" is a little bit of an
19 oxymoron, and can get in trouble, because again on some of
20 the panel--other related panels I serve on, very often, for
21 example--oats is a great example--maybe one of the world's
22 experts, maybe the world's expert in oats in some aspect
23 comes from an industry who makes oats, because somebody has
24 tied them to that job for 35 years. It's just a very
25 unusual word to have in there.

1 DR. BENEDICT: Dr. Buchanan, do you want to
2 address this as well?

3 DR. BUCHANAN: Yes, I would just like to point
4 out, if the grammar police ever chased us down on this, that
5 the definition of "disinterested" is "objective." So
6 basically your sentence reads, "based on an objective and
7 objective review of...." While we tend to imply certain
8 things with "disinterested," the exact definition is
9 "objective."

10 DR. PILCH: And I don't think we certainly meant
11 at all to imply that it would be people without subject
12 matter expertise. That is a type of disinterested review
13 that, say, happens with the NIH Consensus Development
14 Conference, where the evaluators are removed from the
15 subject matter.

16 Another way of getting a review with independence
17 is also to be sure to get a balanced representation of
18 different views from the experts, so that if there are pro
19 and con sides, hearing from both is often a helpful way to
20 deal with that and still have the expertise on the panel or
21 on--

22 DR. BUCHANAN: The word "objective."

23 DR. HOTCHKISS: This is Dr. Hotchkiss. We did use
24 "objective." We just followed it up with, apparently, a
25 synonymous word.

1 DR. PILCH: If "disinterested" is a problem, I
2 think--

3 DR. BENEDICT: We can certainly have that dealt
4 with. Thank you.

5 Someone else? Dr. Kuzminski.

6 DR. KUZMINSKI: I would be interested in the
7 working group's discussion, or was there discussion, about
8 how the expert panel would be established on a particular--

9 DR. BENEDICT: As I recall, the early discussions
10 are very similar to what you read in the Emerging Science
11 document, and we talked about including that and then we
12 left it out, figuring that the FDA would be best able to
13 judge how that should be empaneled.

14 If anyone else would like to comment, please do.
15 The working group, members of the working group are
16 significantly absent today, so it's pretty much a one-horse
17 operation.

18 DR. LARSEN: I was going to say, Dr. Benedict,
19 with the departure of Dr. Harlander, Dr. Benedict is left
20 swinging on his own for this one.

21 DR. BENEDICT: Yep, I am the working group. Yes.
22 That did not elude the Chair.

23 Additional comments? This could be quick. Dr.
24 Buchanan.

25 DR. BUCHANAN: I was going to say I wouldn't worry

1 a whole lot about the selection of the panels. That would,
2 since most of these would be advisory committees of some
3 sort or another, and we have very strict rules for advisory
4 committees, this is really moot in terms of the discussions
5 here.

6 DR. BENEDICT: Thank you. Okay. Well, I guess
7 one more shot.

8 Seeing no additional comments, I invite you to
9 respond when polled, whether you think this is an
10 appropriate document to be remanded into the custody of the
11 FDA. Dr. Applebaum?

12 DR. APPLEBAUM: Yes, I do.

13 DR. BENEDICT: Thank you.

14 Dr. Brackett?

15 DR. BRACKETT: Accept.

16 DR. BENEDICT: Ms. Richardson?

17 MS. RICHARDSON: Yes.

18 DR. BENEDICT: Dr. Montville?

19 DR. MONTVILLE: Accept.

20 DR. BENEDICT: Dr. Sigman-Grant?

21 DR. SIGMAN-GRANT: Accept.

22 DR. BENEDICT: Dr. Hotchkiss?

23 DR. HOTCHKISS: Accept.

24 DR. BENEDICT: Dr. Kuzminski?

25 DR. KUZMINSKI: Accept.

1 DR. BENEDICT: Wow. Well, thank you all for your
2 attention and diligence to this. We have--Mr. Levitt would
3 like to--has issues that he would like to deal with.

4 MR. LEVITT: Only pleasant ones. I know it's
5 Friday afternoon and I'll be very brief.

6 Let me first of all, Dr. Benedict, thank you for
7 chairing yesterday's and today's session in the absence of
8 Dr. Brandt. I appreciate your willingness to do that. And
9 thank all the new members as well as continuing members. I
10 see some familiar faces from last year's, what was it, three
11 days on Olestra that we spent? As well as some new members.

12 In keeping with the changing of the guard and time
13 moving on, we also have two members who are--this is their
14 final meeting, although as you know, we move you into
15 postgraduate status. You never quite really escape.

16 But I have the pleasure to present both Rhona
17 Applebaum and Donna Richardson certificates of appreciation
18 on behalf of Dr. Haney and myself, and want to recognize
19 your significant contributions over several years to the
20 work of the Food Advisory Committee. We thank you for the
21 time not only you take out of your schedule, but the lending
22 of your expertise and thoughtfulness to discussions. The
23 work of this committee is very important to us carrying out
24 our mission. So I thank you both. I will save you the
25 trouble. I'll walk over.

1 [Applause.]

2 DR. BENEDICT: And in my capacity as Chair, I'd
3 like to add, while this is being done, that Ms. Richardson
4 and Dr. Applebaum have become good friends.

5 And it was, as you can observe, of course, they
6 provided sparks of inspiration and wonderful questions, and
7 Ms. Richardson represented the consumer to the hilt. The
8 consumer was never wanting while she was around the table.
9 She always brought up the issues that needed to be
10 discussed. And Dr. Applebaum was always very inquisitive,
11 very pleasant, very nicely aggressive on the issues, and it
12 was a pleasure to have served with both of you during the
13 time that I was able to serve with you.

14 I know Dr. Brandt would say many more and many
15 better things than I'm saying, but you've got to live with
16 this. And so I would like to offer, on behalf of the
17 committee, our personal gratitude for your years of service,
18 and I can also attest to the fact, you're never going to get
19 away. I stand as testimony to that.

20 Mr. Levitt, would you like to add anything else?
21 Okay, thank you.

22 Insofar as I am able to tell, we have completed
23 our business. Would the Executive Secretary like to add
24 anything? I will pass the microphone along for the dreaded
25 administrative announcements.

1 MS. DeROEVER: Lynn, would you like to take a
2 shot?

3 Very briefly, you do have your voucher
4 information. If you have any questions or you want to talk
5 about that, we'll be here for a while, if you get those in
6 to us.

7 To remind you, comments, written comments you have
8 on the working group documents, we'd like to have them by
9 Friday, the 23rd of July.

10 And I'm not certain if we've received everyone's
11 calendar for planning future meetings, so after you get back
12 to your office and check, if you could also drop that in the
13 mail, that would be very helpful.

14 That's all I have, except to thank you for coming.

15 DR. BENEDICT: I have one other thing that I'd
16 like to address, and I realize that this event occurred some
17 time ago, but I don't think before this committee we've
18 actually addressed it.

19 And that is to express our similar intents and
20 high level of gratitude to Dr. Lynn Larsen, who served as
21 Executive Secretary for so many years in such a wonderful
22 capacity, and although we are amply helped, we will miss you
23 greatly, and we wish you the best in all of the new things
24 that you're doing. Thank you very much.

25 [Applause.]

1 DR. BENEDICT: And Dr. Buchanan would like to make
2 a couple of statements.

3 DR. BUCHANAN: Yes, just real quick, because I
4 know you all want to get out of town before you hit National
5 Airport on Friday.

6 I want to thank you all for coming. For you new
7 members, I look forward to working with you for the next
8 several years on what will promise to be a whole range of
9 issues.

10 I would also like to remind you that if you have
11 any recommendations--and this is for new members and
12 outgoing members, etcetera--how we can make the committee
13 run more effectively, how we can make it easier for you to
14 participate, please do not hesitate to give me a call.
15 We're really interested in your comments.

16 Thank you all for being here.


17 DR. BENEDICT: Thank you, Dr. Buchanan. Unless
18 there are further comments--Dr. Applebaum?--we stand
19 adjourned.

20 [Whereupon, at 2:45 p.m., the committee was
21 adjourned.]

22 - - -

C E R T I F I C A T E

I, **ELIZABETH L. WASSERMAN**, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.

A handwritten signature in cursive script, reading "Elizabeth L. Wasserman", is written over a horizontal line.

ELIZABETH L. WASSERMAN