

1 | disease-free survival curves.

2 | The agency once again performed estimates of 3-
3 | year disease-free survival. The results, 81.6 percent for
4 | the AC treated patients and 81.2 percent for the AC plus
5 | Taxol treated patients, provide no evidence that the Taxol
6 | treated patients who had ER positive and/or PR positive
7 | tumors evinced any benefit from the addition of four cycles
8 | of Taxol in adjuvant therapy for their node-positive
9 | disease.

10 | The findings in the ER positive and/or PR
11 | positive subset of patients prompted the FDA to perform an
12 | additional analysis on those patients who had hormone
13 | receptor positive tumors and received tamoxifen. Even
14 | though this represents a more specific subgroup than the
15 | previously identified group, it consisted of a sizable
16 | number of patients at close to 2,000. The analysis of this
17 | subgroup is even less suggestive of a trend toward Taxol
18 | effect with a hazard ratio of close to 1.

19 | The most closely related analysis performed by
20 | the sponsor is disease-free survival in all tamoxifen
21 | treated patients. As can be seen in the sponsor's graph,
22 | there is no appreciable difference in the disease-free
23 | survival curves for Taxol treated patients compared to the
24 | control group.

25 | In summary, the agency is in agreement with the

1 sponsor on the overall positive effect of Taxol. However,
2 these overall positive results are based on the findings in
3 the ER/PR negative group of patients. The evidence for a
4 Taxol effect in the receptor positive or tamoxifen treated
5 patients appears to be insufficient.

6 In this trial, the efficacy endpoints were
7 disease-free survival and overall survival. Objective
8 disease relapse was used to evaluate disease-free survival
9 and was defined as the appearance of local recurrence or
10 distant metastases at any site or death due to any cause.
11 The most common reason for failure was the occurrence of
12 distant metastases, with the second most common reason for
13 failure being local disease recurrence.

14 Taxol demonstrated efficacy in decreasing the
15 odds of both distant recurrence and local recurrence. This
16 chart shows that the effect of sequential Taxol in
17 decreasing the odds of recurrence was similar for both
18 distant and local rates of recurrence.

19 Before I go on, I would like to present a quick
20 overview of the other definition for objective disease
21 relapse in this protocol which was death due to any cause.
22 At a median follow-up of 30.1 months, a total of 342 deaths
23 had been reported. 192 deaths had occurred in the AC
24 treated group, which is comparable to 12 percent of the
25 population, and 150, or 10 percent, of those treated with

1 AC plus Taxol had died. The corresponding percentages of
2 survivors are shown on the right-hand side of the figure.

3 As we saw in the analysis of disease-free
4 survival, according to the three identified subgroups, when
5 we interpret the results in overall survival with respect
6 to the same three subgroups, a similar pattern emerges.
7 The positive results for the entire study population are
8 driven by the very noteworthy beneficial effect of Taxol in
9 the ER negative/PR negative population.

10 The first graph, this graph, and all subsequent
11 graphs were taken from the sponsor's submission. This
12 first graph compares overall survival in receptor negative
13 patients treated with AC versus AC plus Taxol. Those
14 treated with sequential Taxol derived a substantial
15 survival advantage. Sponsor and agency hazard ratios were
16 consistent. The sponsor reported a hazard ratio of 0.72
17 with a corresponding p value of 0.11.

18 In those patients with ER positive and/or PR
19 positive tumors, there was no appreciable difference in
20 overall survival when the AC treated group was compared to
21 the AC plus Taxol treated patients. The sponsor calculated
22 a hazard ratio of 0.83 with a corresponding p value of
23 0.31.

24 The lack of evidence for effect with sequential
25 adjuvant Taxol after 4 cycles of AC is even more pronounced

1 when comparing AC treated versus AC plus Taxol treated
2 patients who had hormone receptor positive tumors and
3 received tamoxifen. The sponsor's hazard ratio of 0.92 and
4 p value of 0.63 reflect all patients treated with
5 tamoxifen.

6 Since the reported toxicities for AC were
7 comparable and occurred with equal frequency during the AC
8 part of treatment in all patients, I will not repeat them
9 here. Instead I will focus on the toxicity associated with
10 4 additional cycles of Taxol, which is not without risk.

11 The early population, as the sponsor indicated
12 earlier, consisted of the first 325 patients that were
13 accrued to the trial. The protocol specified complete
14 reporting of all adverse events that were grade 2 or higher
15 for this cohort of patients. Therefore, the figures in
16 blue represent the most accurate toxicity profile for Taxol
17 in this trial. The incidence of adverse events were
18 reported as the worst grade per patient. This does not
19 tell us if the same worst grade toxicity recurred in
20 subsequent cycles of therapy. Women of all age groups
21 experienced more non-hematologic toxicities with the
22 addition of Taxol. The risk profile is expected based on
23 the known toxicities associated with the use of Taxol with
24 the most notable toxicities including hypersensitivity
25 reactions, neurosensory events, arthralgias/myalgias,

1 | diarrhea, and neuromotor toxicity. In summary, the impact
2 | of 4 additional months of therapy should not be discounted.
3 | The women suffered some morbidity and some decrease in
4 | quality of life.

5 | 82 patients, or 6 percent, of those randomized
6 | to treatment with AC plus Taxol discontinued therapy during
7 | Taxol due to drug-related toxicity. In comparison, 15
8 | patients withdrew from therapy in the AC arm, and 17
9 | patients randomized to the AC plus Taxol regimen withdrew
10 | during the AC portion of their treatment.

11 | 2 patients died acutely from Taxol toxicity. 1
12 | patient had a brain infarct subsequent to sepsis, and 1
13 | patient experienced a hypersensitivity reaction. The
14 | patient who died during AC treatment died of respiratory
15 | disease which was attributed by the investigator to disease
16 | progression and not related to drug toxicity.

17 | Some issues to consider. For the entire study
18 | population, the overall results of the trial are very
19 | positive. The use of Taxol reduced the recurrence rate or
20 | risk of recurrence by 22 percent with a hazard ratio of
21 | 0.78 and reduced the risk of death by 26 percent with a
22 | hazard ratio of 0.74.

23 | Although the FDA usually views subset analyses
24 | with trepidation and great caution, the agency feels that
25 | the results in this trial with respect to the identified

1 subgroups are compelling. The subgroups are large, with a
2 notable number of events occurring in each. The subgroups
3 represent medically plausible populations. In fact, the
4 protocol specified different treatment for patients in each
5 subgroup to receive or not receive tamoxifen.

6 And finally, the overall results of this trial
7 seem to be driven by the findings in the receptor negative
8 population treated with Taxol.

9 Furthermore, 4 additional cycles of
10 chemotherapy are not without risk. As we saw, 82 patients
11 discontinued Taxol therapy because of drug-related toxicity
12 and 2 patients died acutely of drug-related toxicity during
13 Taxol therapy. Based on these data from an interim
14 analysis, it seems to me that the lack of evidence of a
15 Taxol effect in patients with receptor positive tumors
16 treated with tamoxifen would not justify the added toxicity
17 of 4 additional cycles of Taxol chemotherapy.

18 In summary, based on the current interim data,
19 the net beneficial outcome in disease-free survival and
20 overall survival reported for all AC plus Taxol treated
21 patients appears to be derived from those patients with
22 tumors that were hormone receptor negative for both
23 estrogen and progesterone. This group comprised about one-
24 third of the entire study population.

25 I believe there is sufficient evidence to

1 | approve Taxol as adjuvant therapy subsequent to the
2 | combination of doxorubicin and cyclophosphamide in patients
3 | with node-positive breast cancer who have tumors that are
4 | negative for both estrogen and progesterone receptors.
5 | This recommendation is based on the striking improvement
6 | demonstrated for disease-free survival and overall survival
7 | in this subgroup.

8 | Two-thirds of the study population had tumors
9 | which were hormone receptor positive. Per protocol these
10 | patients which received tamoxifen at the first interim
11 | analysis of this trial, there seems to be no evidence of
12 | benefit from 4 additional courses of chemotherapy with
13 | Taxol after AC in patients who will receive tamoxifen. The
14 | effect of Taxol cannot be discerned in this group of
15 | patients.

16 | Therefore, based on the currently available
17 | interim data, I do not believe there is sufficient evidence
18 | to recommend approval for Taxol as adjuvant therapy
19 | sequential to the combination of doxorubicin and
20 | cyclophosphamide in patients with node-positive receptor
21 | positive breast cancer. This recommendation is based on
22 | the near unity in the hazard ratio and no trend toward
23 | statistical significance, along with 3-year disease-free
24 | survival estimates showing no difference. I must say that
25 | the result of future interim analyses and/or the final

1 | analysis may alter this current recommendation.

2 | Thank you for your attention.

3 | DR. NERENSTONE: Thank you. We'll now open up
4 | to questions from the committee. Dr. Williams?

5 | DR. WILLIAMS: I want to make a statement for
6 | the team.

7 | I think we've had a very good discussion with
8 | breast cancer experts and with the company and the team's
9 | presentation.

10 | We made a recommendation here but I really
11 | think that at this point in time we're really more asking
12 | what's the right thing to do. I really think that this is
13 | a very tough call. I just wanted to sort of communicate
14 | the FDA's current position on this.

15 | DR. NERENSTONE: Thank you.

16 | Dr. Kelsen?

17 | DR. KELSEN: It seems to me that the major
18 | issue that you've raised, since there's general agreement
19 | on a recommendation for non-estrogen receptor and
20 | progesterone receptor patients is now bad is it to take 4
21 | cycles of Taxol for ERP/PRP positive patients when we don't
22 | yet have full evidence of benefit, but you're basing it on
23 | a subset analysis.

24 | As I look at, I guess it's slide 32 from the
25 | sponsor's presentation, looking not at grade 2 toxicity but

1 grade 3 or 4 toxicity because, although no one wants any
2 toxicity, the key issues are serious toxicities, those
3 numbers are very small for the AC followed by T arm for
4 serious grade 3 toxicity unless I'm misreading this, either
5 hematologic or non-hematologic toxicities.

6 DR. O'LEARY: I believe it was in the range of
7 about 15 percent --

8 DR. KELSEN: Leukopenia, 9 percent;
9 granulocytopenia, 21 percent; less than 1 percent or 1
10 percent for everything else, including cardiovascular,
11 nausea, vomiting, whatever. Slides 34 and 32.

12 So, we're basing our recommendation to not give
13 therapy to ER or PR positive patients on a subset analysis
14 with trends that are slightly below the unity point. And
15 that's not a very comfortable feeling to withhold therapy
16 that may change the cure rate. So, you have to be pretty
17 comfortable I think that it's the right thing to do because
18 it will be several years before we know for sure that this
19 is not effective therapy in making this decision.

20 DR. O'LEARY: The next interim analysis will
21 occur? Can the sponsor tell us?

22 DR. BERRY: The 900 will be probably 12, 18
23 months from now. I'm not sure.

24 DR. CANETTA: If I can just make a point. I
25 wonder whether it is appropriate to call these interim

1 | analyses because the definition of interim analysis applied
2 | to the stopping rules for the protocol. This study that's
3 | been reported has not been stopped. It has been completed.
4 | So, I don't think that there is a compelling reason to go
5 | back to 900 events or 1,350 events as the protocol wrote
6 | that would have been done in the event that the protocol
7 | had to stop. The protocol has been completed.

8 | DR. WILLIAMS: I think the protocol was
9 | designed to perform analyses based on number of events, and
10 | I call that an interim analysis. I think we'd be
11 | interested in the data as they were designed to be
12 | collected and we would make decisions based on those at
13 | each particular time. I'm not quite sure I understand your
14 | distinction. Certainly we can't stop the trial, but we're
15 | certainly going to look at the data when there's twice as
16 | much as there is now.

17 | DR. NERENSTONE: Dr. Temple and then Dr.
18 | Margolin.

19 | DR. TEMPLE: I don't think interim here was
20 | meant to imply that there's anything wrong with it. I
21 | think Dr. O'Leary was just expressing the hope that perhaps
22 | with more data, there might be a benefit seen in that
23 | subpopulation. I imagine everybody sort of hopes for that.
24 | It wasn't a statement that the data aren't persuasive for
25 | some information now.

1 DR. NERENSTONE: Dr. Margolin.

2 DR. MARGOLIN: I think, although we don't have
3 this data and we won't really from this study or maybe from
4 the next or next after that early trialists group, we have
5 to consider that the addition of Taxol is going to have an
6 impact on all groups similar to the addition of
7 chemotherapy to hormonal therapy in patients with ER
8 positive disease.

9 Since there are often different levels of
10 limitation or caution that can be placed on drug approvals,
11 one option that we've seen the FDA do sometimes -- and I
12 would wonder if that's being considered -- is not to limit
13 the actual sentence that's written for the indication in
14 the approval, but to have very prominently in the package
15 insert the data from this trial cautioning that the proof
16 of benefit of Taxol in the ER positive patients who receive
17 tamoxifen has not yet been demonstrated beyond all doubt.

18 DR. NERENSTONE: Dr. Justice?

19 DR. JUSTICE: The answer to that question is
20 yes. We can put in the clinical study section, if the
21 committee recommends, a full disclosure of the issues. We
22 have that in indications as well, but definitely in the
23 clinical/pharm.

24 DR. NERENSTONE: Dr. Kelsen.

25 DR. KELSEN: This is a procedural question.

1 We're hopefully going to see large scale trials in a number
2 of solid tumors over the next few years, many of which may
3 not have a subgroup analysis planned of this type. What
4 will the position of the agency be, let's say, if we do a
5 colon cancer trial and we're lucky enough to get 5,000
6 patients in it? And there are a number of subgroups in
7 colon cancer. We're not going to do subgroup analyses in
8 all of them. How shall we approach that as these adjuvant
9 trials come through?

10 DR. NERENSTONE: Dr. Temple?

11 DR. TEMPLE: Well, we're usually on the other
12 side of this argument.

13 (Laughter.)

14 DR. TEMPLE: We're historically skeptical about
15 subgroup analyses, especially when they try to salvage an
16 otherwise negative study.

17 I think the theme here is that this sort of
18 grabs you by the hair more than most of them do. We are,
19 in general, resistant to making much out of the many
20 possible subset analyses that show up in trials. So, we
21 have the same attitude that the company is expressing.
22 It's just that when you see two-thirds of a study with a
23 hazard ratio of approximately 1, you sort of have to say,
24 well, what should I do with this? So, I would consider
25 this quite exceptional. We don't usually celebrate the

1 small differences that are inevitable in any trial. So,
2 it's not a difference in attitude. We're very skeptical.
3 But as Jim said, this sort of grabs you.

4 DR. NERENSTONE: Are there other questions from
5 the committee?

6 (No response.)

7 DR. NERENSTONE: Okay, thank you very much.

8 At this point, I've been asked to reopen the
9 public hearing and Dr. Marissa Weiss would like to address
10 the committee.

11 DR. WEISS: Good morning. My name is Marissa
12 Weiss. I'm a physician oncologist specializing in breast
13 cancer, and I'm here today representing my nonprofit
14 educational organization, Living Beyond Breast cancer,
15 which is Philadelphia based but a national organization.
16 Our mission is to help all women affected by breast cancer
17 live as long as possible with the best quality of life.

18 I am here on my own. I was invited by myself.
19 Bristol-Myers is one of many companies that buys a few
20 seats at our table for our annual gala, which is next week,
21 and all of you are invited. There will be 800 people
22 there.

23 (Laughter.)

24 DR. WEISS: I'd just like to start by putting
25 this into perspective. We're all here in the room for the

1 same reason, which is 40 percent of 180,000 newly diagnosed
2 women with breast cancer with have their lymph nodes
3 involved, and as Dr. Henderson said, of the 3,000 people on
4 this study, over half were expected to have a recurrence.
5 So, this is a large group of women, 72,000 women diagnosed
6 each year, with nodes involved, and over half are still
7 predicted to recur over the long term. So, we desperately
8 need effective treatments for these women.

9 I am struck by the incremental benefit that
10 Taxol offers to women who have already completed their
11 Adriamycin and Cytosin chemotherapy. It's very impressive,
12 and the shape of the curves, two parallel curves, over time
13 -- those two points of analysis -- they're identical. But
14 also the curves start to plateau out. So, I feel
15 comfortable with the reliability of that data.

16 Also, we've had a longer experience with Taxol
17 than just this study. This is not the first study. We
18 have a lot of information about toxicity, not necessarily
19 after AC chemotherapy.

20 These data do cover the highest risk period in
21 this particular population of women with nodes positive,
22 the first 3 years being the highest risk period. These
23 data are just short of 3 years.

24 Just to say for all of us in the room who have
25 already given our patients the benefit of Adriamycin and

1 Cytosan chemotherapy, what this study does show is at least
2 dose intensification of Adriamycin doesn't buy you anything
3 more. So, we've got this group of women who have gotten
4 the benefit of the best standard chemotherapy and giving
5 more of it doesn't do a damned thing. So, the point is
6 what more can we do for these women that's substantially
7 different, and it seems that Taxol does do that without
8 significant incremental side effects.

9 Clearly additional chemotherapy being involved
10 for 4 more months, quality of life issues are definitely
11 there. But we all know that for those women on this study
12 -- and most of them are young women in the prime of their
13 lives. They're going to choose it. They can trust that
14 they with their doctor can have a discussion that says,
15 based on this potential incremental benefit in your
16 situation, do you want to accept these additional
17 incremental sides effects. I have to say that the people I
18 represent want to have that option.

19 In terms of the subset analyses, I'm happy to
20 see that the estrogen receptor negative patient who hasn't
21 had the benefit from tamoxifen over these years and is very
22 envious of the woman who's estrogen receptor positive who
23 gets tamoxifen, but this is really good news for them.

24 But in terms of the subset analyses, you could
25 really take that pretty far. For example, is there a

1 spectrum. You've shown us that the women that are hormone
2 receptor negative, both estrogen and progesterone receptor
3 negative, have the greatest benefit. If you look at the
4 women who were either ER positive or PR positive, they
5 don't see as great a benefit. There may be a continuous
6 spectrum of benefit from starting from those patients who
7 were both ER/PR negative having the greatest benefit and
8 those patients who were both ER/PR positive who are also
9 taking tamoxifen and stick with their tamoxifen, they're
10 going to see the least benefit because those people of this
11 group are going to do the best anyway. So, any incremental
12 benefit is going to be hard to measure, particularly over
13 this period of time. 3,000 patients is a lot of patients,
14 but maybe not large enough.

15 So, these data are very compelling to me, and I
16 am concerned about the subset analyses, and I think if you
17 really want to put weight on these subset analyses, I'd
18 like to see a spectrum of the differential effect that
19 Taxol gives after AC for every combination of the hormone
20 receptor positivity and negativity, starting from all ER/PR
21 positive to the ER/PR negative and the different
22 combinations, different numbers, and also if the patients
23 stick to tamoxifen or they don't because we all have
24 patients who are ER/PR positive who can't take it for some
25 reason or who start taking it and stop taking it. Then

1 your hands are tied. What more can I do for this woman
2 who's in front of me? We're talking about women whose
3 lymph nodes are involved. You're talking about people
4 whose long-term survival is 50 percent over long term, and
5 we want to make things better.

6 So, as a physician and as an advocate for the
7 30,000 breast cancer patients nationally who are members of
8 our organization, I think that Taxol should be approved and
9 be available to the patient and the doctor with an up-front
10 discussion. I really favor this being part of the package
11 insert, where a doctor is guided by the package insert and
12 says, we're in this situation now. You've had the benefit
13 of this. What is your style of making decisions? Do you
14 want to do everything possible today to make sure you never
15 see the cancer again? And make sure that the decision to
16 proceed with this is an informed one.

17 Thank you.

18 DR. NERENSTONE: Thank you very much, Dr.
19 Weiss.

20 Now I'd like to open up the committee
21 discussion. First, are there any general comments from the
22 committee? Dr. Raghavan?

23 DR. RAGHAVAN: I think everybody has identified
24 just how difficult one part of this is. I came in this
25 morning thinking the FDA were absolutely wrong, and Grant

1 Williams is a thoughtful reviewer and I was surprised that
2 he would actually do an about-face and allow subset
3 analysis in with FDA blessing and, in fact, castigated him
4 as I arrived in.

5 But listening to the discussion, the faster
6 Larry Norton talked, the more confused I became and came
7 out of it feeling that maybe he was wrong. He made one
8 statement that troubled me a lot, which is the smaller the
9 sample size, the broader the confidence interval, and
10 that's not a generically true statement. It's only true if
11 you have a scatter of points. If everybody has a similar
12 survival with a small sample size, then the confidence
13 intervals don't widen. It's a small point, but it just got
14 me to thinking that it isn't that simple.

15 I listened to Dr. Weiss just now and I was
16 thinking that she was oversimplifying things as well.

17 I think the reality is Taxol is a terrifically
18 useful drug for some people, but it's a drug that causes
19 side effects and people potentially have anaphylactic
20 reactions. And we shouldn't just say this is an all or
21 nothing thing in which it's either all good or all bad.

22 Now, I think everybody has conceded that in ER
23 negative patients, there's a really substantial survival
24 benefit, both overall and disease-free. That's terrific.
25 It means that for ER negative patients this is a major step

1 forward, and Larry Norton's conceptual thinking has
2 influenced us on this. And it's a huge step forward, and I
3 think that's great.

4 Of course, what we're struggling with now is
5 the fact that there's such a major impact on the outcome of
6 that smaller group that it could easily have weighted the
7 overall study. And it's pretty hard not to look at the
8 survival curves and say they really sit one on top of the
9 other, notwithstanding the fact that it's a subset
10 analysis.

11 I think Dr. Temple's point is a little
12 different because the subset that is being looked at is
13 actually bigger than any other subset in the whole study.

14 So, in the discussion that ensues, I hope that
15 the rhetoric that we've been hearing doesn't sway us. I
16 think the reality of the situation is there's one group of
17 about 1,000 patients that were ER negative/PR negative and
18 didn't get tamoxifen or, for that matter, did get tamoxifen
19 where the hazard ration clearly favors approval.

20 It's not quite that simple, I don't think, with
21 the ER positives who got tamoxifen. The question, of
22 course, is if a woman is having chemotherapy and is going
23 through the tail end of it, which is normally when it's the
24 toughest and the most wearing, if they're on tamoxifen, you
25 want to be sure that you're actually giving them something

1 back for adding Taxol.

2 So, I'd like to hear the breast experts around
3 the table and elsewhere talking a little more about it, not
4 just to make a very simple one-liner that subset analyses
5 are bad because I think this is one of the more difficult
6 decisions we've had to make at the committee.

7 DR. NERENSTONE: Any takers?

8 I'll plunge in a little bit, Derek. I think
9 one of the things as a practitioner that I agree the lack
10 of significant effect is -- "concerning" is too great a
11 word, and I think you're right. There is no question about
12 the ER/PR negative patients.

13 The survival curves are very close, but there
14 is an effect. The curves never cross, at least not from my
15 non-statistical eyeballing of the curves, suggesting that
16 it is very possible in the future that they will separate.
17 Maybe we should have a statistical discussion about that.
18 What is the likelihood that we will get an effect with more
19 events and further follow-up because I think that's the
20 question. Remember, this is a subset analysis and the
21 study is very positive.

22 What I think clinicians want to avoid at this
23 point is the denying of patients, possibly curative
24 therapy, although everyone will admit the effect is going
25 to be small, on the basis of a subset analysis where we

1 know the benefit is going to be small.

2 Dr. Lamborn, can you comment on that?

3 DR. LAMBORN: The problem, of course, as has
4 been identified, is as soon as you go into subset analysis,
5 you have to consider how much you believe this is based on
6 prior medical judgment that these groups are going to be
7 different versus you've just taken a whole series of
8 subsets.

9 But the closest I can come, based on the
10 information you have right now, is to reference back to I
11 think it is the last slide that was in the FDA presentation
12 where they looked at the ER positive and/or PR positive
13 tumors and looked at the 3-year disease-free survival. And
14 you asked did it cross. Obviously, it slightly crossed in
15 terms of disease-free survival because that's 81.9 on the
16 AC plus Taxol compared to 82.7 for the AC group. But they
17 are so much on top of each other, what do you call "cross"?

18 But the other thing is your hazard ratio, which
19 is a .98, which is pretty close to 1 -- when we were
20 talking about equivalence yesterday, we would have said,
21 .98, wow, they've really demonstrated equivalence. You do
22 see a confidence interval. Again, you have to remember to
23 interpret that in light of the fact that they've looked at
24 multiple analyses.

25 But that's sort of the best I could do for you

1 | in terms of trying to gauge the potential of what will see,
2 | and there's no reason, I guess, to expect that as you move
3 | forward, if you believe the modeling assumptions, that
4 | you're going to change that number. You would assume that
5 | this number is where it will about fit. The confidence
6 | interval would get narrower, but the estimate would stay
7 | about the same.

8 | DR. NERENSTONE: The sponsor said, in their
9 | defense, that they thought the 1-year was more accurate
10 | because more patients had gotten to that point. Do you
11 | agree or not agree with that?

12 | DR. LAMBORN: To the extent that we're
13 | describing where the value will actually be at the end of
14 | all the analyses, clearly the 1-year result is not going to
15 | change since everybody has moved beyond that point. I
16 | don't remember what the 1-year result was for this
17 | particular group of patients.

18 | DR. BERRY: I don't think we gave it to you.

19 | DR. LAMBORN: That's why we don't remember it.

20 | (Laughter.)

21 | DR. LAMBORN: Do you have it?

22 | DR. BERRY: But you're talking about the ER
23 | positive.

24 | DR. LAMBORN: That's right.

25 | DR. BERRY: We didn't do that. You're talking

1 about the ER positive, and we didn't show that. We do have
2 it I think.

3 DR. LAMBORN: I think it would be helpful if we
4 could see it.

5 DR. HENDERSON: I did show those data, and the
6 point was that I was trying to make was that as you go
7 along, the confidence interval gets wider and wider. I
8 will give you those numbers in just a second here.

9 There you go. So, you can see that there's a
10 small benefit at 1 year, fairly narrow confidence intervals
11 around each of the estimates, a slightly larger benefit at
12 2 years, slightly larger but still fairly tight intervals
13 around the estimates, and then no difference at 3 years but
14 wider confidence intervals around both of them. I think
15 that's the data set that you're asking for.

16 DR. LAMBORN: That is specifically it because I
17 think the issue we're being asked is what do we expect to
18 see down the line. I think the only thing we can say is
19 what we see now is our best estimate of what we would
20 expect to see, and in some instances we're pretty sure of
21 what we're going to see in terms of final data.

22 DR. BERRY: Excuse me. I want to point out
23 that the reduction at 1 year is essentially what we see
24 overall and, in fact, is better, if you go back to that
25 please. Compare 97.7 versus 96.5. The reduction is about

1 a third in this ER positive group.

2 DR. NERENSTONE: Dr. Margolin.

3 DR. MARGOLIN: I'm sure everybody knows this,
4 but I think we need to remember this business about ER and
5 PR positivity and how positive in measuring, and the
6 interaction with pre- and post-menopausal need to be kept
7 in mind as well as we, those of us who are in the clinic
8 treating patients, have to make a judgment every single
9 time we make a recommendation to a patient about her
10 adjuvant therapy.

11 The NSABP has tried, in some of their
12 retrospective analyses, to look at their outcomes in
13 various studies as grouped by level of ER and PR
14 positivity, and they've taken the stance in many of their
15 studies prospectively that they don't care. They just put
16 everybody over 50 on tamoxifen.

17 So, I think that, again, rather than trying to
18 say this is group A and group B, we really have quite a
19 spectrum and it makes more biological sense to look at it
20 that way.

21 DR. NERENSTONE: Dr. Lippman.

22 DR. LIPPMAN: Again, since we're about to
23 discuss a recommendation based on a subset analysis and the
24 issue of consistency from meeting to meeting -- it actually
25 came up at the last meeting on another drug. But the issue

1 that Dr. Kelsen mentioned and I think Dr. Temple indicated
2 his thoughts on this is that one of the things is the idea
3 that one looks very skeptically on subset analyses from a
4 negative trial.

5 I guess the question I have is, is there any
6 reason to think that there's more importance or less
7 importance or more validity or less validity to a subset
8 analysis based on whether the primary endpoint of the study
9 is positive or negative?

10 DR. NERENSTONE: Would someone from FDA like to
11 answer that? Dr. Temple.

12 DR. TEMPLE: For what it's worth, one of the
13 requirements that a sponsor faces in submitting an
14 application is that we ask them to look at whether effects
15 are similar in men and women, old and young, black and
16 white, generally by looking at an overview of the data,
17 pooling the available trials, and looking at those. Now,
18 those are three demographic figures. It's not 20 subsets.
19 It's three. And many people would condemn that and say
20 that's just exploratory nonsense, and you really should pin
21 it down.

22 But I think there's a feeling that it is worth
23 looking for these things, and if the differences appear
24 very large, you sort of do your best with them. I think
25 most people would say that's the rule on subsets. You

1 | should be skeptical. You shouldn't do it willy-nilly. You
2 | should be aware of how many things you're looking at.

3 | So, one of the things you'd consider is how
4 | plausible, among the various things one is looking at,
5 | would it be to look at other therapy. Well, a lot of times
6 | the other therapy people are on is one of the first things
7 | you'd consider in looking at plausible subsets. So, a lot
8 | depends on whether there's 40 subsets out of which you're
9 | pulling it or only one, and medical plausibility and all
10 | that.

11 | So, I don't think I could give a rule. We're
12 | generally skeptical about these things. That's our rule.
13 | But no one would ever say they're never credible.

14 | DR. LIPPMAN: The comment that I was making,
15 | because it really did come up at the last meeting and you
16 | commented here, is just the issue of whether the study is
17 | actually positive or negative in terms of the primary
18 | endpoint and whether that changes the validity,
19 | statistically or otherwise, of subset analyses.

20 | DR. WILLIAMS: Maybe I could add something. I
21 | believe our usual action that we take on the basis of
22 | subgroup analyses would be to put them in the labeling.
23 | Usually we have a positive trial and usually we would say,
24 | well, there seems to be less or more effect. So, we don't
25 | have that problem if we have a negative trial. There's

1 | nothing to put in the labeling. So, I mean, maybe that's
2 | what we usually did.

3 | DR. TEMPLE: One is also, let's say, more
4 | skeptical when the overall trial is negative because the
5 | urge to find a subset with an effect becomes overwhelming.
6 | Maybe there's less of an urge, maybe this is more
7 | spontaneous. These are all nuanced and no good rules.

8 | But I think it's fair to say most people think
9 | you should. One of the great things about the overview
10 | analyses is there were so many patients in them that you
11 | can start to do credible subset analyses. So, Richard Peto
12 | who started both this and is a very powerful skeptic of
13 | subset analyses -- he's famous for showing that people with
14 | -- I guess aspirin doesn't work if you were born under
15 | certain zodiacal signs, which he did not consider support
16 | for astrology, but support for not doing subset analyses.

17 | DR. JOHNSON: Do you remember which sign?

18 | (Laughter.)

19 | DR. TEMPLE: Gemini was one where it didn't
20 | work.

21 | (Laughter.)

22 | DR. TEMPLE: Libra and Gemini. So, those among
23 | you for whom that's relevant will know.

24 | But at the same time as he's a known skeptic of
25 | these, one of the things you can do when you have 50,000

1 patients randomized is start to look and perhaps learn
2 something. So, everybody who looks at this has mixed
3 emotions. They all say don't do it, and they, every once
4 in a while, find themselves to be persuaded anyway.

5 DR. LIPPMAN: But I think we can all agree
6 this is a very intriguing finding. We talked about the
7 value of hypothesis generation and so on. I think the
8 issue really is -- I don't think any of us would disagree
9 with putting this information in the clinical section of
10 it. The question is whether to put it up front to really
11 say that we're sure this should affect patient care as a
12 hypothesis testing point, and I don't think that's what
13 happened here.

14 DR. BERRY: Dr. Nerenstone, I don't know the
15 protocol here. Can I address some of the things that have
16 been discussed?

17 DR. NERENSTONE: Dr. Johnson.

18 DR. JOHNSON: Well, actually like yesterday,
19 we've sort of gone back and forth between questions and
20 discussion. I would like to just put forward some thoughts
21 about this.

22 Like everyone else, I too am a little bit
23 concerned about the subset analyses. I think had the study
24 shown equivalence, let's say, and then a subset analysis
25 had been done with 2,000 out of the 3,000 patients that was

1 positive, I'm not sure we would have accepted that as an
2 indication to go forward and approve the product.

3 I agree with everything that Derek said. The
4 reason I think we're a bit concerned about it is a point
5 that has been made by others about the biological
6 plausibility of the subset, which is a group that was ER/PR
7 positive that got tamoxifen and obviously benefitted from
8 that.

9 The biological facts are -- and we've known
10 this for a long time -- is if there's difference in how the
11 ER/PR positive tumor progresses, the growth if you will,
12 the kinetics if you will, of that tumor, therefore the
13 events may not be evident as early in the process as they
14 would be with ER negative tumors. That may be what we're
15 observing.

16 My personal preference -- again, I'm allowed to
17 speak but not vote, like at home.

18 (Laughter.)

19 DR. JOHNSON: I'm talking about my home home,
20 you know, with my wife and daughter.

21 It seems to me that we ought to accept the
22 overall result of this large, powerful trial. And then I
23 like Dr. Margolin's and Dr. Lippman's suggestion that we
24 put forward the data in the package insert which guides the
25 clinician and the patient as to what benefit he or she may

1 obtain from this.

2 I can tell you from having seen these data --
3 and I, like Derek, was wondering if Grant had lost his mind
4 because yesterday he obviously lost his mind and again
5 today he's lost it.

6 (Laughter.)

7 DR. JOHNSON: But I'm also very persuaded by
8 the data as were shown, and I'm not sure how now I'm going
9 to handle the patient that I have at home with positive
10 nodes who's ER/PR positive. Candidly I've been going to
11 using the sequential therapy, and now that I see these
12 data, I'm a bit hesitant about that. But nevertheless, I
13 like that as an option and I think these data prove that.
14 I suspect -- this is my prediction -- that we will see a
15 difference as time goes on, but related to the biology of
16 the tumor types rather than just some sort of specific
17 interaction with Taxol per se.

18 DR. NERENSTONE: Ms. Fischler.

19 MS. ZOOK-FISCHLER: Well, as the patient rep, I
20 have to take a patient's position, and I think that is that
21 patients need the options. As I'm listening, while I do
22 see -- and it jumped off the page at me as well -- that the
23 ER/PR negative women had the greater advantage, I didn't
24 see that the women who were estrogen positive were at a
25 disadvantage. They just weren't as at great an advantage.

1 But as the patient, I would like to be able to
2 sit down with my doctor and decide what's best for me. I
3 also know from working with women in SHARE, the group that
4 I'm affiliated with, I've seen many women who can't
5 tolerate tamoxifen. So, for those women, it would be a
6 very important option to be able to have Taxol. So, I
7 would like to see it go ahead with Dr. Margolin's proviso.

8 DR. NERENSTONE: Dr. Kelsen.

9 DR. KELSEN: These data now have been available
10 for some time I think to the breast cancer specialist
11 community. How has it influenced your studies? Larry, you
12 just showed us a whole series of trials that are underway.
13 When patients enter those trials and they are ER positive
14 or ER negative, are they being treated differently in the
15 Taxol-containing studies?

16 DR. NORTON: No, absolutely not. That was a
17 very major consideration in the design of all these trials,
18 and everybody felt that this type of subset analysis was
19 inappropriate for guiding future decisions, especially
20 because we want more data. And if we make that decision,
21 then it's a self-fulfilling prophecy. We won't have the
22 data and we won't have that kind of information. So,
23 that's why you'll notice that there's a taxane and in fact
24 Taxol in all the current and future plans in the
25 cooperative groups.

1 DR. KELSEN: So that we will never have a
2 prospectively randomized study in which women who are ER or
3 PR positive or both are randomly assigned to receive T or
4 not to receive T after AC with tamoxifen.

5 DR. NORTON: That is not currently planned.
6 This is what Dr. Temple said. I think that with all of
7 these trials involving taxanes and with patients with ER
8 positive disease being treated as well as ER negative and
9 patients getting tamoxifen or not, we're going to have a
10 huge data set that we could then do some very reasonable
11 subset analyses of in this regard, and that that's going to
12 really give us the power for making that determination long
13 term rather than the randomization.

14 In terms of the randomization, since you bring
15 it up, it's an ethical consideration. It's exactly what we
16 decided. Let's say we decided not to give Taxol to ER
17 positive patients. Let's say 5 years from now we find out
18 that indeed the curves start to separate as we get past 3
19 and a half, 4 years and the tamoxifen effect wears off and
20 the curves start to separate. We've cost a lot of women
21 their lives by making that decision.

22 If we decide, however, to give Taxol and it
23 turns out long term not to be effective, what have we
24 really cost them? We've caused some toxicity, but compared
25 to what they've received with the AC and compared to many

1 other things we do in oncology, it's really very minimal.

2 So, balanced with that minimal toxicity versus
3 the potential for saving lives, the intergroup decided to
4 include Taxol for everybody regardless of hormone receptor
5 positivity.

6 DR. NERENSTONE: There is another study. The
7 NSABP study is closed. It was not randomized I don't
8 believe. I mean, ER/PR was not in the randomization. I do
9 believe it was in the stratification, and that study is now
10 closed to accrual but did randomize AC plus or minus Taxol
11 to stage II patients. So, there will be another group of
12 patients along.

13 Dr. Margolin?

14 DR. MARGOLIN: Just as a point of clarification
15 mainly to Ms. Zook-Fischler, I think we recognize that the
16 data for the small number of patients who were estrogen
17 receptor positive but didn't end up on tamoxifen is no more
18 convincing of a Taxol effect than the whole group at large.
19 So, I don't think for the patient who can't take tamoxifen,
20 we can say that Taxol supplants that and it replaces the
21 effect of tamoxifen.

22 DR. NERENSTONE: Dr. Temple.

23 DR. TEMPLE: I just wanted to be sure I
24 understood. There are going to be further data on Taxol,
25 yes or no, in the receptor subtypes, although not most of

1 | the ongoing trials because everybody is getting Taxol
2 | there. But there are at least a couple trials where one
3 | will be able to look at it.

4 | If they're stratified, that's more than
5 | sufficient. You can't randomize to receptor status, but
6 | whether stratified or not, both statuses are sufficiently
7 | common in the population that you'll get effective
8 | randomization I think anyway.

9 | DR. NERENSTONE: Dr. Lamborn.

10 | DR. LAMBORN: Could I ask that we hear Dr.
11 | Berry's additional comments or clarification?

12 | DR. NERENSTONE: Yes, thank you.

13 | DR. BERRY: Thank you.

14 | I completely agree with Dr. Temple concerning
15 | looking at subsets and the strength of the subset. If
16 | there is something that grabs you by the hair or knocks
17 | your socks off, I look at it and I believe it.

18 | The question is, does this knock your socks
19 | off? And the appropriate analysis is exactly what Dr.
20 | Lamborn suggested, namely we do a Cox proportional hazards
21 | model, adjusting for all the other covariates, and we ask
22 | if there an interaction between the use of Taxol in
23 | estrogen receptor/PR status. The answer was for overall
24 | survival, there's no significant interaction. For disease-
25 | free survival, there is a .036 p value.

1 Now, in doing interim analyses, we adjust for
2 multiple looks. In doing subset analyses, we adjust for
3 multiple subsets. How many subsets did I look at? I
4 looked at nodes. I looked at tamoxifen. I looked at
5 menopausal status. I looked at tumor size. How many? I
6 don't know. A half dozen, 10? Even if I looked at two
7 subsets and adjust this p value accordingly, it is not
8 statistically significant. This is not an effect that
9 knocks your socks off.

10 Two final points. One is Dr. Lippman's
11 question. The vagaries of subset analyses are identical
12 whether it's a negative study or a positive study. The
13 same problems arise.

14 Another point about sample size and confidence
15 intervals. If you take a random subset of a set of
16 patients and look at the size of the confidence interval,
17 it has to increase. So, Dr. Norton's statement I would
18 agree with.

19 DR. NERENSTONE: Thank you.

20 Other questions from the committee?

21 (No response.)

22 DR. NERENSTONE: If not, then I'd like to go to
23 the questions from the FDA. I will skip all of the
24 preamble -- it just goes over the discussion and the data
25 that we've already seen -- and go right to the questions,

1 | which is the last page of the handout.

2 | Do the results of this trial provide highly
3 | reliable and statistically strong evidence of an important
4 | clinical benefit from Taxol in patients with node-positive
5 | breast cancer?

6 | Discussion?

7 | (No response.)

8 | DR. NERENSTONE: Okay, then let's see a show of
9 | hands. All the people who say yes?

10 | (A show of hands.)

11 | DR. NERENSTONE: That's 8 yeses. That's
12 | everyone who is voting.

13 | The second question. Do the results of this
14 | trial provide evidence of clinical benefit from Taxol in
15 | patients with node-positive, receptor-positive breast
16 | cancer who also receive tamoxifen as adjuvant therapy?

17 | Comments please? Dr. Lamborn.

18 | DR. LAMBORN: I guess I have some problem with
19 | the question as it's posed because if you just were to say
20 | look at this subset and look at the data, then you have one
21 | answer to the question. If you ask the question of you
22 | have overall results and you've now done a subset analysis,
23 | do you have convincing evidence that in fact the result is
24 | different for the receptor positive group, then I think
25 | that it becomes a slightly different issue. So, I don't

1 know if others see this as a -- I think it's really the
2 latter question that we can address from this data.

3 DR. NERENSTONE: Dr. Williams?

4 DR. WILLIAMS: I would suggest that you address
5 it any way you want to. It's the decision I think someone
6 is faced with when they have a women in this situation,
7 based on anything you think is appropriate, including the
8 evidence from this trial, whichever evidence you want to
9 consider and what you've seen presented.

10 DR. NERENSTONE: I'm not sure I see the
11 difference between question number 2 and question number 3,
12 the first part. They really feed into one another. Maybe
13 we should go to question number 3 which is really the crux
14 of the discussion, which is, for which population with
15 node-positive breast cancer -- all patients, patients with
16 receptor negative tumors, patients with receptor negative
17 tumors plus others who cannot receive adjuvant tamoxifen --
18 should this indication be approved? In deciding this,
19 issues include the toxicity of Taxol, the size and the
20 medical plausibility of the subgroup, and the unplanned
21 nature of the subset analysis.

22 Discussion? Dr. Raghavan.

23 DR. RAGHAVAN: Well, I started by taking the
24 devil's advocate view partly because I believed it and
25 partly because I was asking questions. I think the

1 discussion actually resolved my concerns pretty
2 comfortably. I'm a long-term opponent of subset analyses,
3 and I think that even though this is a bigger subset than
4 average, whoever made the point that the damage we would do
5 by withholding the drug with the knowledge base we have is
6 more than the damage we would do by letting it through.

7 I'm totally sympathetic to the position of the
8 FDA. I think it's their job to raise questions like this
9 and it's our job to deliberate on the data that are
10 presented, not to do it in a trivial way, but in fact go
11 through it very carefully.

12 Some of the early discussion I thought did
13 trivialize the question, and I think now the discussion has
14 been of a nature that when we look back in 10 years, my
15 hunch is that once again Dave Johnson is wrong and the
16 curves won't diverge. And he can't vote. So, who cares?

17 (Laughter.)

18 DR. RAGHAVAN: But I think his point is
19 correct, which is that until we have data, then we should
20 be conservative in favor of the patient. Therefore, these
21 latter questions probably become moot. What we do is we
22 advise the FDA. They've heard the clear sense of
23 equipoise, but the jury moving towards feeling that the
24 data support an approval for node-positive disease with
25 caveats in the package insert.

1 So, I make the comment because I was the person
2 at this part of the discussion that raised the questions,
3 and I just want to comment that I'm pretty comfortable that
4 my questions have been resolved.

5 DR. NERENSTONE: Ms. Zook-Fischler?

6 MS. ZOOK-FISCHLER: Yes. The question asks for
7 which group of people it should be approved, and if it's
8 approved for all patients, that doesn't mean all patients
9 need to take that treatment. But it does open up all the
10 possibilities for the patient and her physician, and I
11 think that's what's really important here.

12 DR. NERENSTONE: Dr. Margolin.

13 DR. MARGOLIN: Well, just really a reiteration
14 of what I said earlier. This is a very tiny point, but I
15 would not leave in the package insert or any sort of
16 subcomment that patients with ER positive tumors who cannot
17 receive adjuvant tamoxifen -- we still don't know which
18 makes you achieve less benefit with Taxol, the fact that
19 you are receptor positive or the fact that you were
20 receptor positive and received tamoxifen.

21 DR. NERENSTONE: Other comments? Dr. Blayney.

22 DR. BLAYNEY: I view statistics as a way to
23 scientifically approach biology and the biology of breast
24 cancer in this particular discussion. ER positive breast
25 cancer is a slowly growing tumor. We don't eradicate and

1 | cure some of those patients and the time that that makes
2 | itself manifest is longer.

3 | I'm new to the regulatory advice arena, but I
4 | agree with Dr. Raghavan that I think, as presented, the
5 | data is persuasive to me that we should advise them to
6 | approve this for node-positive breast cancer patients, but
7 | with the caveat that the data is what it is in 1999, and
8 | the second caveat that I made earlier, that in over 65-
9 | year-olds, the data is what it is, and that should also be
10 | considered by physicians advising their women patients.

11 | DR. NERENSTONE: Dr. Lippman?

12 | DR. LIPPMAN: Yes, I actually agree with Dr.
13 | Johnson on both points. In terms of biologic plausibility,
14 | there certainly is biologic plausibility that with time we
15 | might see an effect in ER positive patients because the
16 | effect that we see will take longer to manifest, if it's
17 | really slow growing, based on Dr. Norton's kinetic
18 | argument. But we don't know, but there's biologic
19 | plausibility there.

20 | First of all, people will see this published,
21 | and putting this information in the package insert will
22 | lead to deliberations like Dr. Johnson just mentioned.
23 | People will interpret this and it will affect, I think, the
24 | types of patients possibly and when it's being used. I
25 | think the information will be there and will guide us, and

1 | with time, we'll have more information.

2 | DR. NERENSTONE: Other comments from the
3 | committee?

4 | (No response.)

5 | DR. NERENSTONE: What I'd like to do then is
6 | we'll take the first question as all patients, and if it
7 | passes, then obviously we don't have to do a subgroup. For
8 | the population with node-positive breast cancer, starting
9 | with all patients, should this indication be approved? All
10 | those who say yes?

11 | (A show of hands.)

12 | DR. NERENSTONE: 8.

13 | The second question and I think the sense of
14 | the committee was that a package insert should reflect the
15 | relative data that was presented here. Does that need to
16 | be voted on, or you have the sentiment of the committee?

17 | DR. WILLIAMS: Could I get some more detail on
18 | that? Let me give you an example. Aredia package insert
19 | was altered because of an apparent different size of effect
20 | in hormone treated breast cancer patients versus
21 | chemotherapy treated patients. That was put in the
22 | indications section, a statement referring them to the
23 | clinical trials section. I don't think a lot of people
24 | read the clinical trials section.

25 | It will mean a lot to the company. I think

1 | they will not want it in the indications section. Most
2 | companies do not want their indications section to be
3 | cluttered with a statement talking about something somewhat
4 | negative.

5 | So, I would wonder where you thought this would
6 | be appropriate, what level of concern should it be brought
7 | to, and if there's a statement that were to be put in the
8 | indications section, you might have some discussion about
9 | what it would say.

10 | DR. NERENSTONE: Comments? Dr. Margolin, that
11 | was initially your suggestion.

12 | DR. MARGOLIN: I'm not sure that I really
13 | understand what Grant is saying vis-a-vis the way the
14 | question reads. I thought the question was whether we
15 | want --

16 | DR. WILLIAMS: It's a new question.

17 | DR. MARGOLIN: Oh.

18 | DR. WILLIAMS: This has to do with what kind of
19 | statement you want in the package insert, whether you want
20 | something in the indications section referring people to
21 | the clinical trials section where some data may be, or
22 | whether you want them, if they have the concern, to go find
23 | the indications section and look for the data.

24 | DR. NERENSTONE: Dr. Temple, would you like to
25 | comment?

1 DR. TEMPLE: Well, just to illustrate. It
2 could say for the treatment of patients following other
3 therapy with node-positive breast cancer. It could also
4 say, see clinical trials section for discussion of
5 unbelievable difference between two --

6 (Laughter.)

7 DR. TEMPLE: Or some variation of that.

8 DR. NERENSTONE: Maybe relative clinical.

9 DR. TEMPLE: Yes. So, you flag it and that
10 gives you some hope that someone will read the section
11 although, as Grant says, who knows?

12 DR. NERENSTONE: Dr. Lippman and then Dr.
13 Kelsen.

14 DR. LIPPMAN: Again, just in terms of
15 consistency and setting a new precedent, I think if we do
16 that, that kind of comment could be made on almost every
17 drug that's approved. We could refer them in this case to
18 people with a lot of positive nodes. So, I guess the
19 question is, since there are subsets in a lot of these,
20 this could be something that is put in, this kind of thing
21 in a lot of approvals, and do we want to go there?

22 DR. TEMPLE: Well, you sort of have to trust me
23 on this, but you don't see things this striking all that
24 often.

25 Now, one of the things about subset analyses is

1 nobody pays any attention to them at all unless they're
2 plausible and striking. So, there's a sort of self-
3 fulfilling prophecy here and you can be misled and that's
4 why people worry about it. But it's unusual to see
5 anything that interesting in a large fraction of the
6 patients treated. That doesn't happen every day at least
7 partly because we don't pay any attention to them even if
8 they're sort or large unless they seem credible and involve
9 a large fraction of the population.

10 So, I guess I would say you don't have to worry
11 that we're going to throw these every time because we're
12 highly resistant to that suggestion. It's more a question
13 of whether this is different enough or striking enough to
14 merit unusual treatment.

15 DR. LIPPMAN: But again, just in terms of
16 clarification of what Dr. Berry just said, if these data
17 were presented in a different way, adjusting for the number
18 of subset analyses, I understand that they would not have
19 been even statistically significant.

20 DR. TEMPLE: Yes. That's essentially always
21 going to be true. If you have 10 subsets and Bonferroniize,
22 you'll never overcome that. So, you have to do more
23 subjective things like think how plausible it is and think
24 how many subsets there really were that were that
25 interesting. It's a very hard problem. That's why we

1 usually reject them.

2 DR. NERENSTONE: Dr. Kelsen.

3 DR. KELSEN: I think we should put something in
4 the package insert about this difference. I'm not sure
5 where it would go yet, but I wonder how we'll handle it --
6 how you'll handle it I guess -- at 2 years or 3 years from
7 now when one of these two things is going to be true. One,
8 there is a late effect. ER patients do benefit, that
9 warning or whatever you want to call it, caveat should be
10 removed. Two, we're wrong. Even though the toxicities are
11 relatively acceptable for an increase in cure rate, there
12 is no difference and therefore the package insert should be
13 changed. How will that be handled?

14 DR. TEMPLE: Well, if the data start to look
15 really good for that subset, I think that's going to be not
16 a problem because the company will take care of reminding
17 us of those data. If it poops along and looks sort of the
18 same, I guess we might even come back to you. If it now
19 looks really overwhelming, maybe we've learned something
20 true or maybe other available data will contribute to that.
21 So, we'll arrange with the sponsor to provide the follow-
22 up. I'm sure they will be glad to do that.

23 DR. KELSEN: If it was going to be done in that
24 way, then I would probably stick in the indications, see
25 the clinical trials section, since it seems to me that

1 | we're so uncertain at this point, rather than put it in the
2 | indications section.

3 | DR. JOHNSON: Presumably in that section, you
4 | would have the very analysis that has been shown to us with
5 | those differences.

6 | DR. TEMPLE: In the clinical trials or the
7 | indication?

8 | DR. JOHNSON: No, in the clinical trials
9 | section.

10 | DR. TEMPLE: Yes, that's exactly right.

11 | DR. WILLIAMS: The question is exactly what
12 | sort of statement would be in the indications section that
13 | would be pointing you to the clinical trials statement.
14 | What is the sense of the committee? Should it be there's
15 | little data or preliminary data show, et cetera?

16 | DR. JOHNSON: No. What I would do is based on
17 | what the trial was designed to do. I would say it's
18 | indicated for node-positive breast cancer. Then I would
19 | put, parentheses, see clinical trial data.

20 | DR. WILLIAMS: Okay. So, from what I've heard
21 | from two so far is that you would not make a special
22 | statement in the indications section that would try to
23 | describe the sense of what's going to be in the clinical
24 | trials section.

25 | DR. JOHNSON: We've had this same conversation

1 about toxicity issues in the past where we've allowed the
2 sponsor or FDA has required that certain data be placed in
3 there, and we've simply directed the physician to that
4 area.

5 DR. WILLIAMS: The difference here is that
6 oftentimes we will direct people to another section, but it
7 will be in such a context, they'll know why they're
8 looking. We might say, especially look because of the ER
9 positive findings. Then they would know to look to the
10 section.

11 Another that sounded like what you were saying
12 is approve it and go look in the clinical trials section.
13 Is that what you're saying?

14 DR. JOHNSON: Well, again, I think that what
15 the study did was looked at node-positive patients. So,
16 again, I would say it's approved for node-positive --

17 DR. WILLIAMS: The indication would be node-
18 positive patients. That's no question. The next sentence
19 might be to guide them to the clinical trials section for a
20 particular purpose. The purpose of putting it in the
21 indications section is to make it prominent.

22 DR. JOHNSON: No, I understand that, but it
23 also suggests that the comment that you would like to put
24 there would be, and especially pay attention to the ER
25 positive/PR positive tamoxifen treated. And I wouldn't say

1 | that. I personally would just say see the clinical data.

2 | By the way, I'm stunned -- stunned -- actually
3 | that you think we don't read these package inserts.

4 | (Laughter.)

5 | DR. JOHNSON: And I want you to know, Bob, I
6 | personally trust you.

7 | (Laughter.)

8 | DR. NERENSTONE: Go ahead, Dr. Temple.

9 | DR. TEMPLE: Well, I'd just like to hear a
10 | little more from everybody. There's a huge range of things
11 | one could say, but I think the assumption based on what you
12 | just said is it will say for node-positive patients. You
13 | can then say, see clinical trials. My bias is you tell
14 | people to do that and you don't tell them why, they don't
15 | pay much attention to you. So, one could say, see clinical
16 | trials and mention an unplanned subset analysis that
17 | suggested a possible difference based on receptor status.
18 | That's not as extreme as saying, don't use it, but it does
19 | point out what the area of problem might be, and then
20 | they'll go see it. So, unless you didn't think that was a
21 | good idea, that's probably what we would plan to do.

22 | DR. NERENSTONE: Dr. Margolin.

23 | DR. MARGOLIN: I was just going to suggest some
24 | wording to the effect of near the indications say, see
25 | clinical trials for important information about receptor

1 positive patients, and then in the clinical trials section,
2 just before you show the graphs and the tables, just a
3 statement that not that it doesn't work, not that we're
4 waiting, but just say Taxol has not been proven to benefit
5 patients with ER positive tumors who are receiving
6 tamoxifen or just with ER positive tumors in overall
7 survival and that the benefit in disease-free survival --

8 DR. TEMPLE: That's actually a relatively
9 strong statement. I think other sense I get is that most
10 people wouldn't want anything quite that strong, but those
11 are the nuances. I think we have a pretty good sense of
12 what people want.

13 DR. BLAYNEY: How easy is it to change the
14 package insert in these various sections? I know Dr.
15 Johnson would jump right on it when you did change it.

16 (Laughter.)

17 DR. BLAYNEY: So, how easy is it to change
18 these inserts in the indications and clinical trial
19 information?

20 DR. TEMPLE: Probably you've got to ask the
21 companies that too. We think it's not very hard if you've
22 got data that support it.

23 DR. BLAYNEY: In 3 years, for instance, if an
24 analysis is published suggesting that there is benefit in
25 ER positive patients, is that an easy thing for you all to

1 put into the clinical trials section?

2 DR. TEMPLE: If it's convincing, it's very
3 easy. It could be changed in a very short order. We're
4 familiar with the study. It's just the same analyses that
5 have been done, extended by a little bit. It's a very easy
6 change to make if the data are there.

7 DR. BLAYNEY: In that case, I would advocate
8 putting a statement in the indications and including in
9 that indication the phrase "unplanned subset analysis." I
10 think that's fair warning and a fair statement of the data
11 upon which we advised you today.

12 DR. NERENSTONE: Dr. Lippman?

13 DR. LIPPMAN: I guess I don't fully agree. I
14 think the issue of unplanned, planned, secondary subset
15 analyses -- we think a lot about that. I think one only
16 needs to think about selenium and olaxafene and other
17 issues to understand how that is accepted and understood
18 elsewhere. If I were to say anything, I would say, see
19 clinical trials section for detailed analyses and subset
20 analyses. End of sentence without pulling anything out.

21 DR. NERENSTONE: Dr. Margolin.

22 DR. MARGOLIN: I strongly agree with that. I
23 think the word "unplanned" is sort of meaningless. It's
24 the numbers and the fact that it wasn't prestratified and
25 things like that and not the fact that you didn't plan to

1 do it but now you did it. That's really irrelevant. It's
2 a misleading word I think.

3 DR. NERENSTONE: Other comments? Dr. Blayney.

4 DR. BLAYNEY: I meant to convey the fact that a
5 subset analysis is recognized not to be statistically
6 rigorous. So, however you would want to flag that for
7 people I think could be useful for practicing physicians.

8 DR. TEMPLE: I think what we'd probably try to
9 do is mention in the indications section what area of the
10 clinical trials is of interest, that is, it refers to
11 receptor status, and then in the clinical trials section,
12 one would discuss the nature of the analysis and all that
13 stuff. If we put too much into the indications section,
14 we're sort of taking away the indication, which is what a
15 number of people have said you don't really want to do.
16 So, we want to introduce a note of caution and get people
17 to read that section, but we don't want to deny the
18 indication because that was your recommendation.

19 DR. NERENSTONE: Dr. Lamborn.

20 DR. LAMBORN: I think that you've sort of hit
21 on exactly what I get the sense is that we have here, which
22 is something in the indication and something that might
23 point them to where the area is that they would want to
24 look for further information but not something that took
25 the indication away.

1 DR. NERENSTONE: If everybody will turn to the
2 last question, for the patient group designated by ODAC in
3 question number 3 -- and that is all patients, which is
4 what we voted on -- should Taxol be approved for use
5 subsequent to standard combination chemotherapy or only for
6 use after treatment with doxorubicin and cyclophosphamide,
7 the chemotherapy used in the trial?

8 Comments? Dr. Kelsen.

9 DR. KELSEN: It would seem to me that if we did
10 that, you'd sort of be saying that the standard of care for
11 node-positive women is only AC, or at least you might be
12 implying that the standard of care for node-positive women,
13 as far as the non-Taxol part of treatment, is only AC and
14 that no other regimen might be acceptable. If you approved
15 it only for use with AC and with no other treatment, would
16 that not be implying that that was the only acceptable
17 standard of care with Taxol? That's a question.

18 DR. NERENSTONE: Dr. Margolin.

19 DR. MARGOLIN: Well, I think this is probably
20 one of the toughest questions because we don't have the
21 numbers to look at anything else, but you also don't want
22 to be so rigid as to say that, even though this was a study
23 that was done, this is the only setting in which it might
24 work. I think the most important thing is the question of
25 whether the interaction with Adriamycin is the compelling

1 | thing and you don't want people to be using oddball
2 | regimens like melphalan-based regimens. So, perhaps a
3 | compromise to the effect of Adriamycin-based adjuvant
4 | therapy which you know 99 percent of regimens are going to
5 | include Adriamycin, Cytosan with or without something else.

6 | DR. NERENSTONE: Dr. Raghavan?

7 | DR. RAGHAVAN: Yes, I agree with that. The one
8 | caveat is that I think we've spent the morning talking
9 | about data and what's presented, and we haven't heard
10 | anything about Taxol following anything else. So, I'm
11 | comfortable with what Kim said, which is Adriamycin-based
12 | regimens, but I don't know from anything I've heard in the
13 | last 4 hours what Taxol does after CMF. I know there are
14 | data that relate to that. They just haven't been
15 | presented. So, I think we should work within the confines
16 | of what the discussion was. If the company had wanted a
17 | broader indication, they might have presented data that
18 | related to it. So, I think flushed with enthusiasm for
19 | having done good work, we want to still remain within the
20 | bounds of sanity.

21 | DR. NERENSTONE: Other discussion? Dr. Kelsen.

22 | DR. KELSEN: I'm very comfortable with the
23 | doxorubicin-containing regimen.

24 | DR. NERENSTONE: Dr. Lippman.

25 | DR. LIPPMAN: Yes, I am too for the reason of

1 | sort of biologic plausibility since it wasn't looked at
2 | here, but it certainly is consistent with the mechanisms.

3 | DR. NERENSTONE: Just one comment. I'm also
4 | concerned about additive toxicities, certainly with CMF,
5 | you could have prolonged neutropenia, and how many doses of
6 | CMF? Would you get six? Would you get four? And the
7 | added toxicity of Taxol after 4 to 6 cycles of daily
8 | Cytosan for 14 days I think your toxicity profile could
9 | well be quite different, and we don't have the data here to
10 | do that.

11 | My question, though, is what about the dose of
12 | Adriamycin. Do we make a comment about that as well, or is
13 | that not necessary?

14 | Would the FDA like to address that?

15 | DR. WILLIAMS: I'd sort of like Dr. Temple's
16 | opinion on that. The study has three doses of doxorubicin,
17 | but of course this isn't the doxorubicin labeling. The
18 | study basically found no difference in effect with -- the
19 | lowest dose seemed to be acceptable. How should this label
20 | or especially dosage administration --

21 | DR. TEMPLE: That's difficult, and Bob and I
22 | were just talking about this. The labeling for cytotoxic
23 | adjuvant therapy is grossly deficient. We just approved
24 | epirubicin, so we finally have one thing that's covered.
25 | None of the others are. So, the solution is not so easy.

1 I think what we usually do in that case is
2 describe what was done, which takes care of the immediate
3 problem. How to get the new doxorubicin finding into
4 labeling is hard, given that it's not labeled for that use.
5 I think we need to try to think about how to do it, and I
6 don't know the answer yet.

7 DR. WILLIAMS: But your answer is that we
8 shouldn't necessarily address it in this label in terms of
9 indications section.

10 DR. TEMPLE: That would be most odd to
11 basically label another drug, and it's not really the Taxol
12 part of the study. But I'd be interested in hearing what
13 people say. It certainly ought to get into the label
14 somewhere.

15 DR. NERENSTONE: Dr. Margolin.

16 DR. MARGOLIN: Most people who are aware of
17 these data are aware of the doxorubicin data from this
18 trial and what the NSABP has done over and over again. I
19 think if you just simply use the word "standard"
20 doxorubicin-based chemotherapy, most people think standard
21 and think 60 times 4, and you're going to have very little
22 variation from that.

23 DR. NERENSTONE: Yes, Dr. Williams.

24 DR. WILLIAMS: As you know, I think just two
25 days ago we approved epirubicin which is an anthracycline.

1 | So, the question is, would you feel comfortable broadening
2 | this to anthracycline?

3 | DR. NERENSTONE: Discussion from the committee?
4 | Dr. Johnson.

5 | DR. JOHNSON: I'll talk about that in just a
6 | second, but we did hear yesterday in a survey, when we were
7 | talking about another product, that I think the figure was
8 | 86 percent of women currently receiving adjuvant treatment
9 | are getting a doxorubicin-based regimen. So, even if we
10 | summarily exclude CMF, it's not a high percentage of
11 | patients. Those were the data we saw yesterday.

12 | But I have another concern. I actually think
13 | Kim's suggestion is the right one, with this minor concern,
14 | and that is 4 cycles of AC or 6 cycles of FAC or classic
15 | CAF? Again, there the issue about other toxicities,
16 | including cardiac toxicities, is another issue. I mean, it
17 | comes up. I think it's likely to be a relatively minor
18 | issue, but I don't know that we know that either. It goes
19 | back to what do we know, the data we have, and whether or
20 | not one should be willing to do this.

21 | Again, my personal bias -- and we've repeatedly
22 | had these discussions around this table -- is that I
23 | believe we should leave flexibility for the physician
24 | treating the patient and the patient to make a decision, as
25 | long as we can provide appropriate guidelines and caveats.

1 In this case, if one were to use the language that Kim
2 used, perhaps it might be appropriate to say standard
3 therapy and then say the study was done with four cycles of
4 AC, and then leave it to the treating physicians to
5 interpret that data in an appropriate manner.

6 Oh, epirubicin. Personally again I would go
7 back to the language that Kim used, doxorubicin-containing
8 therapy, not to suggest that you shouldn't use epirubicin,
9 but the study was done with doxorubicin therapy.

10 DR. NERENSTONE: Other comments? Dr. Lippman.

11 DR. LIPPMAN: I'd just like to clarify Dave's
12 point. So, in the indication, you would put standard
13 therapy. You wouldn't specify doxorubicin-containing, but
14 you would put in parentheses the study was done with --

15 DR. JOHNSON: No. I would use the term
16 "standard doxorubicin-containing adjuvant chemotherapy,"
17 but I would make it clear in the data set that it was 4
18 cycles of AC.

19 I do think that a lot of physicians use AC, but
20 candidly, at least where I practice, in the region in which
21 I practice, 4 cycles of AC is not what most of the
22 physicians use. It may be what they ought to use, but
23 that's not what most of the physicians use.

24 DR. NERENSTONE: Dr. Lippman.

25 DR. LIPPMAN: Well, if you're going to put sort

1 of in parentheses in the indication what the study used,
2 would you want to, since we're basing this on sort of
3 biologic plausibility of mechanism -- that's the
4 doxorubicin-based therapy. Would you want to broaden it to
5 anthracycline-based therapy? The study used 4 cycles of
6 AC.

7 DR. JOHNSON: I'm less comfortable doing that
8 personally. Again, if the committee and the FDA decides to
9 do it, I'm fine with it, but again, I'd like to try to
10 stick with the data at hand.

11 DR. NERENSTONE: Yes.

12 DR. JUSTICE: I think the number of cycles
13 issue would be something we would address in the clinical
14 study section normally, and we're already referring to it.
15 So, I think we can cover it there.

16 DR. NERENSTONE: Other discussion?

17 (No response.)

18 DR. NERENSTONE: Do you need a vote on this, or
19 do you have a sense of the committee?

20 DR. WILLIAMS: I think we have a sense. I'm
21 not sure what we're going to do.

22 DR. NERENSTONE: Fair enough.

23 Well, thank you, everybody, for sitting through
24 this. We'll adjourn now and reconvene at 1 o'clock. Thank
25 you.

1 (Whereupon, at 11:52 a.m., the committee
2 recessed, to reconvene at 1:00 p.m., this same day.)
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

AFTERNOON SESSION

(1:03 p.m.)

1
2
3 DR. SCHILSKY: My thanks to Dr. Nerenstone for
4 standing in for me this morning.

5 We'd like to begin again with introduction of
6 the committee members since we do have different people at
7 the table at different sessions. So, Dr. Nerenstone?

8 DR. NERENSTONE: Stacy Nerenstone, medical
9 oncology, Hartford, Connecticut.

10 DR. JOHNSON: I'm David Johnson, medical
11 oncology at Vanderbilt University.

12 MR. McDONOUGH: Kenneth McDonough, Patient
13 Representative, Pittsburgh, PA.

14 DR. PELUSI: Jody Pelusi, oncology nurse
15 practitioner, Phoenix, Arizona, and consumer rep.

16 DR. RAGHAVAN: Derek Raghavan, medical
17 oncologist, University of Southern California.

18 DR. BLAYNEY: Doug Blayney, medical oncologist,
19 Pomona, California.

20 DR. SCHILSKY: Richard Schilsky, medical
21 oncologist, University of Chicago.

22 DR. TEMPLETON-SOMERS: Karen Somers, Executive
23 Secretary to the committee, FDA.

24 DR. LIPPMAN: Scott Lippman, medical
25 oncologist, University of Texas, M.D. Anderson Cancer Center.

1 DR. LACHENBRUCH: Peter Lachenbruch, FDA,
2 statistician.

3 DR. CARDINALI: Massimo Cardinali, FDA.

4 DR. KEEGAN: Patricia Keegan, Division of
5 Clinical Trials, CBER.

6 DR. SIEGEL: Jay Siegel, Office of
7 Therapeutics, CBER.

8 DR. SCHILSKY: Thank you.

9 Karen has a conflict of interest statement.

10 DR. TEMPLETON-SOMERS: The following
11 announcement addresses the issue of conflict of interest
12 with regard to this meeting and is made a part of the
13 record to preclude even the appearance of such at this
14 meeting.

15 Based on the submitted agenda for the meeting
16 and all financial interests reported by the committee
17 participants, it has been determined that all interests in
18 firms regulated by the Center for Drug Evaluation and
19 Research present no potential for an appearance of a
20 conflict of interest at this meeting with the following
21 exceptions.

22 Dr. Kim Margolin is excluded from participating
23 in today's discussion and vote concerning Roferon.

24 In addition, in accordance with 18 U.S.C.
25 208(b)(3), a full waiver has been granted to Dr. Scott

1 Lippman which permits him to participate in all official
2 matters concerning Roferon.

3 A copy of the waiver statements may be obtained
4 by submitting a written request to the agency's Freedom of
5 Information Office, room 12A-30 of the Parklawn Building.

6 In the event that the discussions involve any
7 other products or firms not already on the agenda for which
8 an FDA participant has a financial interest, the
9 participants are aware of the need to exclude themselves
10 from such involvement, and their exclusion will be noted
11 for the record.

12 With respect to FDA's invited guest, there are
13 reported involvements which we believe should be made
14 public to allow the participants to objectively evaluate
15 his comments. Dr. John Kirkwood would like to disclose
16 that he has an interest in Schering-Plough's interferon
17 alpha 2b. He also has received grants, consulting fees,
18 and speaking fees from Schering and speaking fees from
19 Roche.

20 With respect to all other participants, we ask
21 in the interest fairness that they address any current or
22 previous financial involvement with any firm whose products
23 they may wish to comment upon.

24 I'd also like to announce that Dr. Janice
25 Dutcher was unable to attend due to weather problems and

1 that Dr. Scott Lippman has stalwartly agreed to take over
2 the role of discussant.

3 Thank you.

4 DR. SCHILSKY: Thank you, Karen.

5 There's no one listed on the agenda as having
6 requested to speak at the open public hearing, but is there
7 anyone in the room who wishes to make a statement to the
8 committee?

9 (No response.)

10 DR. SCHILSKY: If not, we'll move right on with
11 the remainder of the agenda.

12 As Karen mentioned, the FDA has invited Dr.
13 John Kirkwood from the University of Pittsburgh to make a
14 presentation to the committee to help provide us some
15 context in which to consider the sponsor's application
16 today. Dr. Kirkwood?

17 DR. KIRKWOOD: Dr. Schilsky, Dr. Keegan, I'm
18 delighted to have the opportunity to review with you the
19 updated information on E1690, the intergroup trial of high
20 dose and low dose interferon in high risk melanoma
21 patients.

22 This trial was commenced based upon background
23 data that I think everyone is well aware of, objective
24 responses in approximately 16 percent of patients in large
25 collected series treated with all varieties of interferon

1 | alpha 2, durable responses in about 5 percent of these
2 | patients, which are very comparable to what we know from
3 | interleukin-2, subsequently approved for the therapy of
4 | metastatic melanoma.

5 | A variety of antitumor effects in vitro and
6 | immunomodulatory effects, including up-regulation of MHC
7 | class 1 and class antigens, have been the focus of a
8 | variety of studies that I won't have time to talk about
9 | today.

10 | The trial 1684, which was the pivotal basis for
11 | the approval of interferon alpha 2b at high dosage for high
12 | risk melanoma patients, included 287 patients, half
13 | randomized to high dose interferon for a year, the other
14 | half observed. As you all know, this showed very
15 | significant relapse-free survival improvements to a p value
16 | of .004, overall survival impact to a significance of .04,
17 | and a quality of life improvement, as well as cost
18 | efficacy, which is comparable to accepted therapies of
19 | adjuvant therapies of other solid tumor chemotherapies.

20 | The trial data that I think you're all well
21 | aware of showed an impact which included durable response
22 | and now out to 10 years, no significant difference with the
23 | data that was published at 7 years, as you see reported
24 | here for the alpha 2b high dose trial 1684; survival impact
25 | which was also significant and which is also now updated to

1 10 years without change in this pattern.

2 The trial 1690 that I'll talk about today was
3 designed in 1990 when the relapse-free survival benefit of
4 1684 was recognized, but certainly no survival impact had
5 yet been observed. It was conducted between February of
6 1991 and June of 1995, and an important element that I
7 didn't put in the chronology here is that in July of 1995
8 this committee considered the application for alpha 2b and
9 approved it for adjuvant therapy of high risk patients with
10 melanoma using the high dose regimen that we had developed
11 in E1684.

12 In May of 1998, some two to three years before
13 what we had anticipated would be the closure of 1690 at the
14 scheduled number of 200 deaths or relapses, the data safety
15 monitoring committee decided to unblind this trial because
16 of the slowing number of events, the basis for this, the
17 improved prognosis that I'll come back to discuss in the
18 E1690 experience.

19 And over the summer of 1998, there were both
20 external and internal audits of the data which corroborated
21 all of the database that we had in ECOG.

22 In the fall of 1998, a statistical analysis was
23 presented to the FDA and to CTEP on October 13th, and in
24 November, this was placed on the web and summarized as an
25 abstract presented at the European Society for Medical

1 Oncology.

2 Between March and April of 1999, data on
3 salvage therapies, which I will review with you today, were
4 collected, and this was all presented briefly to ASCO in
5 May of 1999.

6 The trial 1690 included 642 patients, a third
7 randomized to high dose interferon given for 1 year, a
8 third to low dose for 2 years, and a third to observation.

9 The trial had one important difference in the
10 eligibility in that patients who had primary cutaneous
11 melanomas greater than 4 millimeters of Breslow depth were
12 allowed with or without regional lymph node dissection, a
13 key distinction from the E1684 trial such that 80 percent
14 of the patients who entered this trial had clinically node-
15 negative but not pathologically established node-negative
16 disease. We included about 10 to 20 percent of patients
17 who had regional lymph node metastases presenting as
18 primary disease in the regional lymph nodes, but half of
19 patients presented and entered this trial with recurrent
20 lymph node metastatic disease.

21 The trial analysis that I'll report to you
22 today included 642 patients in the intention-to-treat
23 analysis, all patients who entered the trial. 34 cases
24 were ineligible, and so all of the demographic analyses
25 will focus upon the 95 percent of patients in this trial,

1 608, who met eligibility requirements.

2 The goals of this study were an endpoint first
3 which was used for all monitoring committee decisions and
4 for the decision to unblind, which was relapse-free
5 survival; a second primary goal, overall survival analysis.
6 And the design was to pick up 83 percent power for a 10
7 percent increase in cure or a 50 percent increase in either
8 the median relapse-free or overall survival. And two two-
9 sided log rank tests were specified for analysis.

10 I will also report to you Cox analyses,
11 adjusting for all the prognostic variables that we
12 recognized, and a comparison to the E1684 data as well as
13 an analysis of the salvage therapies that have now been
14 gone through in detail for 93 percent of the patients on
15 the trial.

16 The demography of the patients entering this
17 trial included 25 percent of patients who were node-
18 negative, N0; 34 percent who had 1 node involved; 21
19 percent who had 2 or 3 nodes involved; and 20 percent who
20 had 4 or more nodes involved. This contrasts with the
21 E1684 trial which had only 11 percent of patients with T4
22 node-negative disease.

23 The analysis of the outcomes for relapse-free
24 survival show a hazard ratio for prolongation of time to
25 relapse or improvement in the fraction of relapse, 1.28,

1 with all of the 95 percent confidence intervals above 1, a
2 p value of .05.

3 The low dose interferon impact was 1.09 hazard
4 ratio, crossing the value of 1, with a p value of .17.

5 The surprise in this trial was that survival
6 was not impacted at all on either of the therapeutic arms,
7 and we'll come back to discuss that later.

8 The plots for the relapse-free survival
9 illustrated with high dose interferon in all of these as
10 yellow, low dose interferon as red, and observation as
11 blue, revealed the data that's consistent with the hazard
12 ratios I presented before, survival plots overlapping in
13 all three of the arms.

14 Hazard function analysis shows, similar to the
15 E1684 trial, an early impact of the high dose interferon
16 illustrated in yellow here. The relapse risk of patients
17 who were observed, somewhat less than we had seen in the
18 E1684 trial, and the values for the hazard functions for
19 the low dose interferon arm intermediate between the high
20 dose and the observation plots.

21 Subset analyses, although I know these are
22 somewhat fraught with problems, show a consistency of
23 impact across all of the stratification groups that we
24 analyzed both by stage of disease and by nodal category,
25 the exception for this being the 1-node-positive group for

1 which the hazard ratio was 1.0. As you see, the node-
2 negative population, hazard ratio 1.46, the node-positive
3 populations also about equivalent, but this one group of
4 single node-positive patients, clearly the outlier in the
5 subset analyses.

6 I should back up to say that the one group that
7 by itself achieved nominal significance was this one group
8 of 2 to 3 node-positive patients, and for this group, the
9 hazard ratio of 1.92 associated with the curves that I have
10 on the next slide for this group achieving significance, as
11 is shown here, in the subset alone.

12 The toxicity of interferon alpha 2b given at
13 high dosage in this trial was about equivalent to what we
14 saw in the E1684, the single exception being that we had no
15 toxic deaths on the high dose interferon arm. In fact, the
16 only two toxic deaths were observed both on the low dose
17 interferon arm, one of a cerebrovascular accident, one of
18 the myocardial infarction.

19 The toxicity required dose reduction during the
20 induction first month of therapy in 44 percent of patients
21 for toxicity reasons, not relapse in this particular case.
22 Maintenance arm treatment associated with a requirement for
23 dose delay or dose reduction in half of patients over the
24 subsequent 11 months. And again a similar fraction to the
25 earlier trial, 75 percent of patients were able to stay on

1 treatment throughout the period of a year of treatment.

2 The average daily dose delivered in the 1690
3 trial was above that which was delivered in 1684, in the
4 induction phase, 18.5 million units per meter squared as
5 the median dose; 8.2 as opposed to 8.1 during the
6 maintenance phase.

7 Comparing the absolute and relative impact of
8 1684 and 1690, we have here the impact in terms of relapse-
9 free survival for the high dose interferon arm. 37 percent
10 over 26 percent continuously free of disease at 5 years in
11 the E1684 trial; 44 percent as opposed to 35 percent in the
12 1690 trial. This increment in terms of absolute percentage
13 points is 11 percent in the 1684 trial, 9 percent in the
14 1690 trial; the relative increment 42 percent in the 1684
15 trial, 25 percent in 1690. As we've earlier mentioned,
16 there is no difference in the overall survivals at 5 years,
17 as is shown here.

18 The conclusions we drew then at the first
19 analysis of this were that the high dose interferon arm
20 improves relapse-free survival with a hazard ratio of 1.28,
21 a continuous relapse-free survival of 9 percent improved at
22 5 years, log rank p of .05, a Cox analysis, .03, as I'll
23 show you in a minute, and is consistent with the 1684
24 trial.

25 Secondly, the subset data, which in the 1684

1 trial had showed no benefit for the node-negative
2 population, were here refuted and the node-positive and
3 node-negative populations behaved very, very consistently
4 in this trial so that there seems to be a consistent effect
5 across the risk groups that we studied.

6 Low dose interferon had a lower absolute
7 reduction in relapse rate, a hazard ratio of 1.09, a log
8 rank of .16, and a nonsignificant value by Cox analysis,
9 and that none of the treatments tested in this trial had
10 altered survival at 5 years, for which we will review some
11 other analyses now.

12 The questions that we developed then were
13 whether patient populations differed between the two
14 studies or whether the treatment results differed between
15 the studies. The conclusions we'll draw from data that
16 I'll now show you are that there are major differences
17 between these populations in terms of the observation arm
18 outcomes, that the observation arm outcomes differ by .01
19 significance for relapse-free survival and .001 for overall
20 survival, and that there is no study effect. There is no
21 difference between the impact of high dose interferon in
22 1684 as it is compared to 1690 between the trials.

23 The Cox model analyses, adjusting for
24 treatment, showed a significant study effect, as I
25 mentioned already, .01 for relapse-free, .001 for overall

1 survival. The Cox model treatment by study analyses
2 demonstrated consistency with the interaction term .55
3 uncorrected to .90 as it was corrected between the 1684 and
4 the 1690 studies, saying that there was not a difference
5 between the impact of interferon in 1684 and 1690.

6 Adjusting for staging and nodal stratification
7 variables in 1690, the high dose treatment effect was
8 significant in Cox model analysis to a p value of .03.

9 The differences in the aggregate populations
10 studied in 1690, the solid line, and 1684, the dotted line,
11 here are shown for relapse-free survival. So, this is all
12 patients entered into the whole 1684 study here and all
13 patients in the 1690 study here, and you see that this is
14 the basis for the significance of .01 for the improvement
15 in relapse-free survival between the studies.

16 Even greater is the difference between the
17 overall survival of the 1690 population in solid white here
18 and the 1684 population in the dotted white here,
19 significant to a value of .001.

20 The largest discrepancy was already identified
21 in the single node-positive population. Here you see the
22 observation arm with 1 node positive, untreated in 1690,
23 and the observation arm in 1684 compared where the value is
24 almost the same even though it's a much smaller subset
25 between the two studies. So, a radical difference in the

1 survivorship and the relapse-free interval for these
2 populations.

3 Comparing the 1690 to the 1684 studies, within
4 study arms, the hazard ratios that we can show suggest that
5 consistent improvement in the relapse-free survival, 1.21
6 times better for the high dose interferon arm of 1690
7 compared to the high dose interferon arm of 1684; overall
8 survival consistently better, 1.23, the hazard ratio for
9 1690 high dose interferon compared to high dose interferon
10 1684. But the observation arm compared within these two
11 studies shows an improvement which is greater than that for
12 the treated arm, and the greatest improvement of all is the
13 1.64 hazard ratio for the untreated arms of the two trials
14 compared in terms of overall survival.

15 Looking at the stratification groups that we
16 had entered patients into these trials and comparing again
17 the two studies by subsets, we see that all of the subsets
18 analyzed in 1690, whether by nodes positive on this plot or
19 by the stage groupings that were used on the top plot, show
20 a consistent of the 1690 or consistent improvement of the
21 outcome for the high dose interferon in 1690 as opposed to
22 1684. The one discrepancy here, the single node-positive
23 group that we've already talked about.

24 For the observation group comparing the two
25 trials in subset analysis, we see that the one group that

1 | does not show an improvement in the outcome for the 1690
2 | trial is the node-negative group, and this group, you will
3 | recall, is the group that we entered into 1690 without node
4 | dissection so that we know this group is heterogeneous and
5 | contains perhaps 20 or more percent who had nodes involved.
6 | So, this is the explanation for the hazard decrement in
7 | that group.

8 | Comparing graphically the outcome of 1684 on
9 | top and 1690 on the bottom, observation groups in blue and
10 | treatment groups in yellow, you see that the lighter bar is
11 | the relapse-free interval where we have an improvement in
12 | the relapse-free interval in 1684, which is about
13 | equivalent or even better in the 1690 trial. We have a
14 | post-relapse survival which is about 2 years in each of
15 | these after relapse for all groups, save for the
16 | observation group of 1690.

17 | Displayed in a table, the numbers are 2.1
18 | years, 1.8 years, 2.6 years for the post-relapse survival
19 | of the treated and the observation groups, except for this
20 | observation group of the 1690 trial where this is 4.34
21 | years survival post relapse and an overall survival from
22 | time of entry to trial of nearly 6 years, really unheard of
23 | in trials that we've done beforehand.

24 | So, how could this have occurred? The
25 | questions were, did this arise from entry demographic

1 changes between the two studies; stage migration, Will
2 Rogers phenomenon; or changes in definitive surgery; or
3 perhaps in post-relapse salvage therapies that were used
4 for these patients?

5 The demographics of patients between 1684 and
6 1690 is here portrayed. The node-positive population in
7 1684 was 89 percent of patients who entered this trial. It
8 was only 75 percent of the 1690 trial. The recurrent
9 disease population was 65 percent of the 1684 trial, but it
10 was only half of the 1690 trial.

11 Conversely, the T4 population, the most
12 favorable subset of entry stratification groups, was 11
13 percent of the 1684 trial and 25 percent of the 1690 trial.
14 Of this population, 80 percent were not dissected as they
15 came into the 1690 trial, offering the frequent opportunity
16 for surgical salvage and entry to treatment, as you recall,
17 with July 1995 approval of interferon, through the back
18 door off protocol with the very same agent that we were
19 testing in the original trial.

20 In summary, of relapse sites of disease of the
21 patients on all arms, there was no difference in the
22 distribution of relapses between high dose arm, low dose
23 arm, and observation. That is to say, the impact we saw
24 was generalized across all groups in the trial. There was
25 a significant fraction of regional, nonvisceral relapses

1 | for which surgical salvage, as I've already mentioned, was
2 | a possibility and subsequent off-protocol therapy was
3 | feasible.

4 | This is a graphical display of the regional,
5 | surgically salvageable relapses in 1690 arm A, high dose;
6 | arm b, low dose; and arm c, observation. You see here the
7 | 26 relapses, here the 37, 38 relapses that had the
8 | opportunity for subsequent surgical salvage and subsequent
9 | systemic treatment by a variety of routes.

10 | So, we went back between February and April of
11 | 1999, analyzed those of the 642 patients in the trial for
12 | whom we could get data. Relapses constituted 357 patients
13 | at that time. 331, or 93 percent, of the data were
14 | obtained on these subsequent data sweeps: 228 by on-site
15 | audits, 103 by queries of institutions where 1 or less
16 | patients had been accrued to the trial. Only 26 patients
17 | had missing data, only 5 from the observation arm.

18 | These are the systemic biological salvage
19 | therapies or biochemotherapy salvage therapies used for all
20 | patients in the high dose arm and all patients in the
21 | observation arm displayed. And I will go through these in
22 | detail, so I won't dwell longer upon this table, given the
23 | short time.

24 | Interleukin-2 was approved in the interim
25 | period while this trial was unfolding. We surmised that

1 | this might have been one of the therapies that would have
2 | accounted for the differences in outcome. Of the 114
3 | failures from high dose interferon, only 13 received
4 | interleukin-2. Of the 121 from observation, 22 received
5 | interleukin-2. This difference is not a difference. It
6 | doesn't achieve significance, and we looked at the impact
7 | of this therapy and it also did not make a difference in
8 | terms of the outcome of these patients for their post-
9 | relapse survival.

10 | Biochemotherapy was also in increasing favor.
11 | Biochemotherapy was given to only 7 of 114 high dose
12 | failures, where it was given to 20 of the 121 observation
13 | failures. This difference is a difference, but it didn't,
14 | in terms of post-relapse survival, have any further
15 | connotations. There were not longer survivals amongst the
16 | recipients of biochemotherapy than those who did not
17 | receive this, as I can show you later.

18 | The interferon salvage of the patients who
19 | failed high dose interferon was 17 of 114. The numbers in
20 | parentheses here are just the high dose recipients. This
21 | contrasted against 37 of 121 patients who failed
22 | observation and this difference was the most significant
23 | that we observed to a p value of .004. The impact of the
24 | interferon treatment of these patients illustrated
25 | graphically was a 2.2 year post-relapse survival of the

1 treated patients as opposed to a .8 year median survival
2 for the patients who were not treated.

3 We wondered what this had to do with the
4 surgical salvage of regional disease. How did this differ
5 between regional and systemic disease? So, the next plot
6 shows you in the solid lines regional disease failures who
7 received interferon as opposed to those who did not in the
8 solid blue and solid yellow lines, systemic relapses who
9 received interferon in the dotted yellow as opposed to
10 systemic relapses who did not receive interferon in the
11 blue. And you see that the impact was greater for those
12 patients who had regional, salvageable, operable disease.

13 We wondered whether this was just a surrogate
14 for treatability, the patients who looked better got
15 treated and therefore did better. This is a plot of those
16 who received chemotherapy or other forms of non-interferon-
17 containing therapy illustrated here, as contrasted to the
18 interferon, and there was a difference here as well.

19 So, the conclusions that I draw are that if we
20 look at trials that have demonstrated relapse-free survival
21 and overall survival impact, 1684 is what we have. If we
22 look for continuous relapse-free survival impact, we have
23 1684 and we have 1690. I've not had time to date to talk
24 much about the NCCTG 83-7052 trial that was reported in the
25 same year as the 1684 trial, but in fact, for the subset of

1 node-positive patients, high risk patients showed exactly
2 the same trend.

3 Pending we have a series of studies, the 1694
4 trial of ganglioside GM2 versus interferon, which will be
5 completed within the next 2 weeks with 851 patients; the
6 Sunbelt trial, a 3,000-patient trial, which is currently
7 ongoing and about half done; and the EORTC 18952 trial
8 which is being conducted in Europe testing two intermediate
9 dosages. So, this data is coming in from a variety of new
10 vantage points.

11 Of the data that is completed and in hand, we
12 have the 1684 trial, the NCCTG trial that I mentioned
13 already with 262 patients, 162 who had nodal involvement
14 and who comprised the basis for this Cox analysis positive
15 for the impact in that trial of 3 months of therapy, and
16 the 1690 trial that I mentioned already in detail today.

17 These are the trials that are pending, and I
18 don't need to spend longer on this since we're short on
19 time.

20 But I think the conclusions that I draw or the
21 implications that I draw from this are that we have
22 established the adjuvant role of high dose interferon alpha
23 2b, and it is consistent with the findings that we have in
24 1690. We have salvage data for melanoma recurrences that I
25 wouldn't have predicted and I don't think anybody else on

1 | our committee would have predicted but are interesting and
2 | that suggest that for resectable nonvisceral as well as
3 | visceral disease there is an impact that I think we hadn't
4 | before anticipated.

5 | The endpoints for future trials, I think a key
6 | point of consideration for this committee, because I think
7 | we have to worry from now on that any trial that focuses
8 | upon overall survival will have to deal with salvage of
9 | patients that is hard to constrain for trials conducted in
10 | the era when you have alternative therapies.

11 | And we really need prognostic and response
12 | indicators that are much shorter time lines to data than
13 | any of the clinical endpoints that we talked about.

14 | It's 1:30, Rich.

15 | DR. SCHILSKY: John, thank you very much.

16 | We'll take a few questions from the committee
17 | if there are any information items you want clarification
18 | on. Dr. Blayney.

19 | DR. BLAYNEY: The 1690 trial included an
20 | observation arm. Is this an ethical thing to do given the
21 | results of the 1684 trial, or what figured into your
22 | deliberations?

23 | DR. KIRKWOOD: Good question. 1690 was started
24 | before any survival impact was apparent, as I've shown in
25 | the chronology of time line. At the time that we first had

1 statistically significant survival and relapse interval
2 data from 1684, we had already completed all accrual and
3 all follow-up on all patients in 1690.

4 DR. BLAYNEY: How did you handle patients who
5 had sentinel lymph node dissection in the 1690 trial?

6 DR. KIRKWOOD: As Rich said I was going to get
7 my legs cut off if I didn't stop at 1:30, I took those
8 slides out. Those analyses were all conducted. I actually
9 expected we would see a significantly larger fraction of
10 patients with sentinel node mapping done as a basis of
11 entry to this trial. In fact, it turns out that less than
12 5 percent of the patients who were node-negative had any
13 sentinel procedure done and less than 5 percent of patients
14 in any of the other groups of 1 node, 2 to 3 node, or 4 or
15 more nodes positivity had sentinel node procedure. So, it
16 was a very small component of the surgical practice in this
17 trial probably because it happened just before the wave of
18 this hit the surface.

19 DR. SCHILSKY: John, let me just ask you two
20 things. In the 1690 trial, what was the dose of the low
21 dose interferon?

22 DR. KIRKWOOD: It was the exact same dose that
23 you'll hear further about today given for 2 years. We
24 actually deliberated, when we designed 1690, whether we
25 should give 3 million units 3 times a day forever, and I

1 | was the lone vote on our committee to actually push for
2 | that. We actually stopped at 2 years because people
3 | thought it was impossible to carry patients past 2 years of
4 | this therapy without knowledge about outcome.

5 | DR. SCHILSKY: Just to be clear, the low dose
6 | interferon in the 1690 trial didn't demonstrate any benefit
7 | with respect to either disease-free or overall survival?

8 | DR. KIRKWOOD: As I showed in the hazard ratio
9 | analysis and as we have in subset analyses that I didn't
10 | have time to present, it did show an impact and it showed
11 | an impact which was intermediate on average between the
12 | high dose and the observation.

13 | DR. SCHILSKY: That was statistically
14 | significant?

15 | DR. KIRKWOOD: It was not statistically
16 | significant in overview. The p value was .16.

17 | DR. SCHILSKY: Thanks.

18 | Any other questions for Dr. Kirkwood? Dr.
19 | Lippman.

20 | DR. LIPPMAN: I just want to clarify. You went
21 | through the data pretty quickly because of time. I
22 | understand that. But just to clarify this good survival on
23 | the observation arm in 1690, the biggest difference between
24 | the salvage therapies involved the interferon.

25 | DR. KIRKWOOD: True.

1 DR. LIPPMAN: Do you think that that was in
2 part the explanation for the better survival on the
3 observation arm?

4 DR. KIRKWOOD: There's a component that may
5 have been played by biochemotherapy, but I think the
6 interferon salvage is the only explanation we presently
7 have for that greater survival of the patients in the
8 observation arm.

9 DR. SCHILSKY: Dr. Simon.

10 DR. SIMON: Is there any documented randomized
11 trial evidence for the use of effectiveness of interferon
12 in recurrent patients commensurate with what you're
13 claiming from this sort of nonrandomized comparison?

14 DR. KIRKWOOD: We have done a number of those
15 trials and we've done them in small enough series that I
16 think none of them has had the power required to detect
17 this kind of an impact that we're seeing here. I think
18 that there's not adequate data.

19 DR. SIMON: Well, what was the size of the
20 trials you did?

21 DR. KIRKWOOD: 20, 30 patients. They were
22 phase I/phase II trials.

23 DR. SIMON: They were randomized trials?

24 DR. KIRKWOOD: No. These are phase I/phase II
25 trials.

1 DR. SIMON: So, there have been no --

2 DR. KIRKWOOD: There have been no randomized
3 trials that I'm aware of that have tested the impact of
4 this --

5 DR. SIMON: So, there's really no randomized
6 documentation --

7 DR. KIRKWOOD: Right.

8 DR. SIMON: -- that that really is a real
9 effect.

10 DR. KIRKWOOD: True.

11 DR. SCHILSKY: Okay, John, thank you very much.
12 We'll proceed to the sponsor's presentation.

13 MS. da SILVA: Thank you. Good afternoon,
14 everyone, ladies and gentlemen of the advisory committee
15 and FDA. I'm Loni da Silva, Program Director of Regulatory
16 Affairs at Hoffmann-La Roche, and this afternoon we'll be
17 discussing Roferon-A for stage II treatment of malignant
18 melanoma.

19 The proposed indication which we are seeking is
20 adjuvant therapy of and prevention of recurrence in
21 surgically resected stage II malignant melanoma, Breslow
22 tumor thickness greater than 1.5 millimeters, in patients
23 without clinically detectable lymph node metastases at a
24 low dose of Roferon-A, 3 million units, subcutaneously 3
25 times weekly for 18 months.

1 Our presentations this afternoon will consist
2 of two speakers. Our first speaker is Dr. Antonio Buzaid,
3 the Executive Director of the Oncology Center, Hospital
4 Sirio-Libanes, Sao Paulo, Brazil, who is also the former
5 Medical Director of the Melanoma Unit at Yale and former
6 Director of the Melanoma Skin Center at M.D. Anderson. He
7 will be discussing the clinical overview of malignant
8 melanoma and concentrating also on the difference in the
9 staging between specifically stage II and stage III.

10 He will be followed then by Dr. Leon Hooftman,
11 who is our Director of Oncology at Hoffmann-La Roche. He
12 will be presenting our data on Roferon-A in the treatment
13 of stage II malignant melanoma.

14 Specifically we'll be focusing on these key
15 points. As I said previously, you will hear the
16 differences between the disease stagings, specifically
17 stage II and stage III, and that our data shows a prolonged
18 disease-free interval compared to no treatment, that
19 disease-free interval is our primary endpoint and is a good
20 predictor for overall survival. There is a strong trend
21 towards increase in overall survival, and with low dose
22 Roferon-A, it has a well established safety profile.

23 With that, I would like to call Dr. Antonio
24 Buzaid.

25 DR. BUZAID: Good afternoon, Chairman, members

1 of the committee.

2 My focus and task today is to provide an
3 overview on prognostic factors of patients with melanoma
4 stage I and II, briefly also in stage III disease, and
5 finally provide a snapshot on adjuvant therapy of melanoma.

6 As you all know, the incidence of melanoma is
7 growing markedly worldwide. In fact, in the U.S. by the
8 year 2000, 1 of 75 Americans will have the diagnosis of
9 melanoma.

10 As far as the staging is concerned, we
11 currently have four stages for melanoma. Stages I and II
12 pertain to patients with primary melanoma. Concerning the
13 next presentation, clinical stage II disease are those with
14 Breslow depth greater than 1.5 millimeters. Stage III
15 disease was just presented by John, and it's basically
16 patients with nodal metastases and also in-transit
17 metastases, and stage IV is basically distant disease.

18 Most patients with melanoma present with stage
19 I and II disease at the time of diagnosis. Obviously, the
20 prognosis is very different otherwise it wouldn't be called
21 stage I, II, and III. But it's important to emphasize a
22 few things here.

23 First of all, in the stage I and II category,
24 the slope of the curve goes down very slowly, while here,
25 as you can see, stage III disease is a very rapid drop. In

1 fact, about 80 percent of the patients with stage III
2 disease recur in the first 3 years, while only half of the
3 patients with stage II disease. These patients probably
4 have a lower microscopic tumor burden because imaging
5 studies are usually negative in this setting. Although
6 they recur, they recur in a much more slower fashion, while
7 patients with stage III disease probably have a larger
8 microscopic tumor burden because you can see that with CT-
9 scans, but the curve drops reasonably rapidly.

10 Let's focus on the prognosis of primary
11 melanoma, that is, stages I and II. Looking at one of the
12 largest databases, about almost 5,000 patients, University
13 of Alabama and Sidney Melanoma Unit database, the three
14 most important factors is the Breslow depth or obviously
15 tumor thickness, ulceration, the location of the primary,
16 the pathologic stage, whether or not the nodes were
17 involved regionally, level of invasion, Clark level, sex,
18 and age. But the most powerful factor is obviously Breslow
19 depth.

20 The Breslow, as you all know, is measured from
21 the granular layer of the epidermis to the deepest melanoma
22 cell that can be seen in the microscope, and there is
23 obviously a direct correlation between tumor thickness and
24 outcome. It's for patients less than 1 millimeter, 1 to 2,
25 2 to 4, and graded in 4 millimeters.

1 We know well that this correlation is direct
2 but not linear, in fact, is relatively linear up to 5
3 millimeters or so, 4 to 5 millimeters, and then it flattens
4 out somewhat. So, very thick lesions, if you have an 8
5 millimeter or a 6, it may not make a tremendous difference,
6 but if you have a 2 versus 4, the jump is tremendous.

7 Now, let's focus a little bit on disease-free
8 survival and overall survival. There are very few series
9 in the medical literature that present data on disease-free
10 survival in primary melanoma. This is the largest data
11 set, 5,000 patients from Duke University, and the only one
12 that actually has both curves clearly outlined. There are
13 important messages here.

14 The first one is obviously -- this is shown by
15 tumor thickness in groups between 0.76 and 1.5, 1.5 to 4 in
16 blue, and finally orange, greater than 4 millimeters. The
17 solid line is overall survival; the dashed line, disease-
18 free survival.

19 First of all, there is obviously a direct
20 correlation between disease-free survival and overall
21 survival, as you would expect in melanoma. This is not
22 testicular cancer, but you can salvage almost everybody
23 with chemotherapy.

24 Now, on the other hand, there is about a 25
25 percent difference, absolute difference, that you see in

1 | general, about 20-25 percent for almost each category, and
2 | you need to understand why this is happening here. So, you
3 | have patients that recurred but haven't died. These are
4 | patients with primary melanoma. The major element that
5 | explains the difference between disease-free survival and
6 | overall survival here is surgery because two-thirds of the
7 | patients with primary melanoma recur regionally, in general
8 | nodal metastasis, and about 40 percent of the patients that
9 | recur with nodal metastasis, you can salvage them with
10 | surgery. This gives you about 40 percent out of two-
11 | thirds, which is about 20 or so percent of the patients.
12 | So, the major difference between disease-free and overall
13 | survival is explained by surgery for regional metastases.
14 | Nonetheless, still the majority of the patients that recur
15 | eventually die, at least about 70 percent of them.

16 | Sentinel node mapping is a novel technique for
17 | melanoma, although very old for other cancers. It started
18 | in melanoma in 1992. In sentinel node biopsy, basically we
19 | inject a blue dye and/or a radioactive material and try to
20 | find the first node the melanoma cells would drain to if
21 | they were to metastasize. That's the concept of sentinel
22 | node, and basically after the injection, you find the blue
23 | node and send it to pathology. We know that there was a
24 | strong correlation between this node and the remaining of
25 | the nodal basin. If this node is negative, there's about a

1 98 percent chance the rest will be negative. If it is
2 positive, it's positive.

3 One of the largest databases in sentinel node
4 mapping is from M.D. Anderson, Lee Moffit Cancer Center.
5 It's about 500 or so patients recently published in the
6 Journal of Clinical Oncology. As you can see here, there
7 was a direct correlation between tumor thickness and the
8 chances of having positive microscopic nodes. That's
9 identical data to the elective node dissection in the past.
10 As you can see here, pertaining to this particular
11 presentation, greater than 1.5 millimeter Breslow depth has
12 about a 22 percent chance of having microscopic nodal
13 metastases. So, about 80 percent of the patients will be
14 node-negative.

15 When you have such a database where all
16 patients underwent sentinel node mapping, we've learned
17 that the most powerful prognostic factor, if you do have
18 that piece of information, is the sentinel node histologic
19 status. In the multi-variate analysis, this is the most
20 significant factor followed by Breslow depth. If you do
21 not have sentinel node information, Breslow depth is the
22 most powerful prognostic factor.

23 This is the actual Kaplan-Meier survival curve
24 for disease-free survival. All patients studied. The
25 negative patients, the curve goes up, so it's a more

1 favorable subset now, and those with positive nodes,
2 obviously the curves do go down and go down relatively
3 rapidly. This is disease-free. But not everybody has died
4 yet. As you can see, about half of them have already died,
5 and the majority of patients with sentinel node have only 1
6 positive node. That's why the curves look so favorable.

7 This leads to the next topic which is the
8 prognosis of patients with regional metastases, primarily
9 nodal metastases. Like all the other cancers in oncology,
10 the number of positive nodes is the most powerful
11 prognostic factor for patients with nodal metastases.
12 Presence of extranodal extension is also an adverse effect,
13 and also patients with dual nodal basin versus only one
14 nodal basin as a more unfavorable group.

15 This is a Kaplan-Meier using an overlay graphic
16 technique. What you can see from this slide here is that
17 if you have nodal metastases, at least half of the patients
18 will eventually die, and in fact, looking at all curves in
19 general, about 70 percent of the patients will die. That
20 is about 30 percent of the patients in general will be
21 alive at 10 years, if you have nodal metastases.

22 Again, this difference pertains to the number
23 of positive nodes. That is, patients with 1 node in
24 general have about a 40 percent chance of being alive at 10
25 years. Patients with multiple nodes have usually about a

1 | 20 percent chance of being alive at 10 years. Patients
2 | with extranodal extension have about a 10 to 20 percent
3 | chance as well.

4 | Now, as I pointed out before, if a patient has
5 | a primary in the back and this patient has 2 lymph nodes
6 | involved in one axilla, this patient fares a little bit
7 | better than a patient that would have both axillas involved
8 | in a primary in the back. It is 1 node on the left and 1
9 | on the right. This patient will fare worse than one that
10 | has 2 nodes and one site only. This is single nodal basin
11 | versus dual nodal basin for the same number of nodes.

12 | Finally, as far as subcutaneous and intradermal
13 | metastases, what we call in general in-transit metastases,
14 | the patients have a poor prognosis. Again similar to the
15 | patients with nodal metastases, about 70 percent of them in
16 | general will be dead at 10 years. This is similar to
17 | patients with local recurrences.

18 | A snapshot on adjuvant therapy. As you all
19 | know, melanoma is the most serious type of skin cancer,
20 | which has a high chance, depending on the prognosis of the
21 | patient, to metastasize. Multiple attempts have been made
22 | in order to reduce this risk of recurrence. In the past --
23 | this is all randomized phase III studies from stages I up
24 | to III -- chemotherapy has been employed, and the drug that
25 | has been most widely studied was carbazine. Other

1 regimens, some of them somewhat bizarre regimens, have also
2 been studied and showed no impact in disease-free or
3 overall survival.

4 Specific monotherapy, such as BCG, C. parvum,
5 transfer factor, or gamma interferon, and levamisole,
6 somewhat controversial but also considered negative
7 definitely in this country, showed no impact in disease-
8 free or overall survival. As you all know, when you
9 combine things that don't work, they usually don't work
10 well. We've done that in oncology as well. DTIC plus BCG
11 is of no benefit in terms of overall survival or disease-
12 free survival.

13 Vaccines have a tremendous appeal for the
14 population. Whether it helps patients with melanoma, we
15 don't know. What we know to date is there are two
16 randomized trials reported. They're relatively small
17 studies, but both were negative. The first trial is in the
18 vaccine in melanoma, oncolysate, VMO. It was as negative
19 as you can imagine. The p value was 0.99 and 0.88. The
20 Memorial Sloan-Kettering program using a ganglioside had a
21 very modest impact on disease-free survival and has been
22 evaluated further in larger randomized trials, but again it
23 was preliminarily negative. Other vaccine programs are
24 ongoing and the results are not as of yet available.

25 Finally, interferons. John Kirkwood has

1 presented in absolute detail the ECOG 1690 and the ECOG
2 1684 data. He also alluded to the North Central Cancer
3 Treatment Group protocol and WHO 16. It's important to
4 emphasize that these studies were conducted in patients
5 primarily with node-positive disease. The ECOG trials,
6 about 80 percent of the patients had basically node-
7 positive disease; the North Central, at least two-thirds
8 have node-positive disease; and WHO was completely node-
9 positive disease. So, these studies are really different,
10 different population of patients compared to the trials
11 that will be discussed today.

12 The trials that will be discussed today will be
13 two studies, two randomized trials, which include patients
14 with clinical stage II disease, that is, patients with
15 primary greater than 1.5 millimeters and clinically node-
16 negative.

17 And I will pass now to Dr. Hooftman. Thank
18 you.

19 DR. HOOFTMAN: Good afternoon, ladies and
20 gentlemen, members of the committee, and FDA. My name is
21 Leon Hooftman. I'm one of the R&D directors for oncology
22 for Hoffmann-La Roche.

23 It's my pleasure this afternoon to present you
24 the data that form the basis of the license application
25 that's under discussion. We are here today to get the

1 | recommendation of the advisory committee with regard to the
2 | license application concerning low dose Roferon-A for
3 | adjuvant therapy of stage II melanoma patients, that is,
4 | clinical stage II melanoma, clinically node-negative
5 | melanoma.

6 | I will do my job reasonably well if I am able
7 | to discuss four specific important messages that form the
8 | basis of this presentation.

9 | Further to what Dr. Buzaid said, I would like
10 | to emphasize the fact that currently there's no recognized
11 | standard therapy available for patients with stage II
12 | melanoma.

13 | Secondly, there's a distinct difference for
14 | disease prognosis, as well as disease state, between stage
15 | II and stage III melanoma.

16 | Thirdly, we believe that low dose interferon
17 | alpha 2a prolongs disease-free interval in a patient
18 | population that consists only of stage II melanoma
19 | patients.

20 | And last but not least, there is a robust and
21 | strong correlation between disease-free interval as a
22 | parameter and the important long-term outcome parameter,
23 | which is overall survival.

24 | To come back to one of these points -- and I
25 | apologize for the reasonable simple nature of this slide --

1 we have studied a low dose variety of Roferon-A for stage
2 II melanoma only. The ECOG 1684 and 1690 studies have a
3 certain proportion of patients with stage IIb, but the main
4 body of the study is about stage III, which is node-
5 positive disease.

6 The Cascinelli study only studies stage III
7 disease, but with a low dose, the same dose as we have
8 studied in our trials.

9 What is also important to note is that a
10 certain proportion of all patients with stage I/II and a
11 certain proportion of all patients with stage II will
12 develop stage III disease, a certain proportion of patients
13 thereof will develop metastatic disease which is not
14 curable.

15 I would like to discuss now the two large-
16 scale, randomized, multi-center trials that form the basis
17 of our license application, one pivotal, one supportive,
18 that were conducted in France and Austria, respectively.

19 The first study we call our pivotal study.
20 It's the French study performed by the French Melanoma
21 Group that started in January 1990, and the lead
22 investigator was Professor Grob. This study recruited 499
23 patients.

24 The study that we use for supportive purposes
25 is the study performed by the Austrian Melanoma Group, and

1 that study recruited 311 patients, started almost at the
2 same time, February 1990. The lead investigator here is
3 Professor Pehamberger, and both investigators are here with
4 us today.

5 These larger studies prospectively studied the
6 usefulness of a low dose of interferon, 3 million units,
7 given 3 times a week for a duration of 18 months, in order
8 to be able to bring down the incidence of recurrence of
9 disease, in other words, as adjuvant therapy, for stage II
10 melanoma.

11 The design of the first study that I am going
12 to discuss is as follows. This is the pivotal study as
13 conducted by the French Melanoma Group in France. This
14 well-controlled study started, as I said, in January 1990,
15 and patients were recruited until January 1994, over a 4-
16 year period.

17 The patient population of this study consisted
18 of clinical stage II melanoma patients only, that is,
19 patients without clinical, palpable lymph nodes, in other
20 words, clinically node-negative.

21 The dose used was 3 million units
22 subcutaneously given 3 times a week for 18 months.

23 Patients were randomized within 6 weeks after
24 surgery. Stratification by center was applied, but not by
25 risk factors. I will get back to that later.

1 Here you see depicted the conduct of this
2 pivotal study. As I said, it was initiated in January
3 1990, and the primary efficacy analysis was done in January
4 1994 when all patients were recruited. We'll have to go
5 back to that later.

6 246 patients went into the observation arm.
7 253 patients ended up in the Roferon-A arm. Treatment
8 duration was for 18 months for all patients. Prospective
9 follow-up, as per protocol, was for 36 months, meaning that
10 all patients were followed up for 36 months, but the
11 patients that had been in the study longer had a follow-up
12 of up to 7 years.

13 At that point, the prospective part of the
14 study finishes and a retrospective section of this study
15 starts. Patients were asked to provide a second, new
16 consent and were seen once by the clinician in order to be
17 able to collect data for long-term follow-up.

18 The primary efficacy endpoint, as used in this
19 study, was disease-free interval. This is the time between
20 initiation of therapy and relapse. This primary efficacy
21 analysis was conducted as a sequential analysis. This part
22 of the study was conducted as a sequential trial. A
23 triangular test was used. The alpha was 5 percent; the
24 beta, 10 percent; in other words, with 90 percent power.

25 The assumptions for the design of this study

1 | were as follows. At 3 years, the investigators expected
2 | that 60 percent of all patients in the observation arm
3 | would have relapsed, and what they wanted to do was
4 | increase this figure to 75 percent for the Roferon-A
5 | patients, an absolute increase of 15 percent. For that
6 | purpose, they needed 104 relapses, and all together at the
7 | time they thought they needed 452 patients.

8 | Three sequential analyses were performed. At
9 | the last sequential analysis, a sample size adjustment was
10 | performed as well, and a sample size adjustment was used in
11 | this trial in order to be able to stop recruitment in the
12 | study at the moment in time that enough data would be
13 | collected to be able to answer the predefined question and
14 | show the predefined difference.

15 | A first interim analysis was performed in July
16 | '92, when a total number of relapses existed of 59: 34 in
17 | the observation arm and 25 in the Roferon arm. A second
18 | sequential analysis in April '93, but the main efficacy
19 | analysis was performed as the third interim analysis, the
20 | third sequential analysis, in January '94.

21 | At that moment in time, there were 134 relapses
22 | in total, 80 in the observation arm and 55 in the Roferon
23 | arm, a difference of 25.

24 | The null hypothesis of this analysis of this
25 | part of the trial was that observation was the same as

1 | Roferon-A. At that moment in time, this null hypothesis
2 | was rejected. A p value was reached of .038. This
3 | demonstrated that, at that moment in time, Roferon-A
4 | statistically significantly prolonged disease-free interval
5 | as compared to observation.

6 | Quite separately from this main efficacy
7 | analysis, a long-term analysis was performed for all
8 | patients with at least 3 years follow-up. These were
9 | further exploratory analyses of the primary efficacy
10 | endpoint and analyses of secondary efficacy endpoints, as
11 | there are overall survival and safety. They were performed
12 | at the end of the study. That was the time when all
13 | patients had reached at least 36 months in the trial. And
14 | I remind you that treatment continued for 18 months.

15 | For this long-term analysis, we used an
16 | eligible patient population. The total number of patients
17 | recruited was 499. The eligible patient population
18 | consisted of 489 patients. We think that this is very
19 | close to an ITT, an intent-to-treat, population.

20 | As you can see here, these were the patients
21 | excluded from these long-term analyses. The reasons for
22 | exclusion, as listed here, are in fact violations and would
23 | have normally been considered exclusion criteria as per
24 | protocol. The 5 patients that had no injection initially
25 | agreed to participate in the trial, but then immediately