

1 fact, didn't occur. I mean that was quite surprising to me
2 and I think to them, as well.

3 So, I think you are correct, it must be
4 quantitative. There is some level of virus within a
5 preparation, that may overwhelm the antibody that is
6 present. That is the way I would interpret the data that
7 have been presented.

8 Referring to the question as to red cell aplasia,
9 just to make it clear, there is transient aplastic crisis,
10 which is an acute self-limited, although in some instances
11 severe, pure red cell aplasia, but it will get better within
12 a week or two when the patient makes antibody.

13 That appears to occur only in individuals who have
14 a shortened red cell survival, so that the cessation of
15 erythropoiesis has that consequence.

16 Now, the chronic pure red cell aplasia that
17 appears as a hematologic disease is a very rare diagnosis.
18 I can't give you an incidence figure because it's too low,
19 it has just not been measured. It is rarer than aplastic
20 anemia.

21 I think that there are not, to my knowledge, there
22 are not documented cases of pure red cell aplasia due to B-
23 19 in which there is not an underlying immunological
24 deficiency, and the only reservation I would have is that
25 sometimes that immunological deficiency is subtle if it's

1 congenital, but those are extremely rare.

2 So, I would not consider that a high risk, and I
3 think that in reference to Dave's comment, even if a donor
4 parvovirus-positive, informing that donor on the off chance
5 that he would be the first one to develop pure red cell
6 aplasia is probably not necessary since examination for B-19
7 is part of the evaluation of pure red cell aplasia anyway.

8 So, one would have the scenario, the patient would
9 present to a hematologist, have a bone marrow performed, and
10 then a B-19 test would be done anyway if he were found to
11 have pure red cell aplasia. I would think that is purely
12 hypothetical in any event.

13 DR. HOLLINGER: Do you know if any IVIG lots or
14 conventional immune globulin lots have been looked at for
15 parvovirus B-19 nucleic acid?

16 DR. YOUNG: I think the answer is yes, I think
17 you can find it.

18 MS. YU: I am from the FDA. My name is Mei Ying
19 Yu. There are published papers that IGIV, there are B-19
20 DNA present. We don't know the infectivity. This is
21 measured by PCR. There are quite a few references.

22 DR. HOLLINGER: Do you know what the titers were?

23 MS. YU: I think they are relatively low. I think
24 when they are measured, they are also--this is John Salana
25 and Phil Miner's paper--I think they are relatively low

1 titer in IGIV and IG compared with the plasma pool. I
2 cannot remember exactly, but it is 10^3 , 10^4 per mL.

3 Again, it depends on the manufacturer, different
4 manufacturers. I think they did look at several
5 manufacturers. There are differences based on the different
6 manufacturing procedures.

7 DR. HOLLINGER: Thank you.

8 Dr. Stroncek.

9 DR. STRONCEK: I have got another question for Dr.
10 Young. Are there no circumstances where you think it would
11 be important to tell the donor that they were acutely
12 infected with parvovirus? It is a very important issue for
13 me anyway to maintain the trust of the donors. How long is
14 the viremic phase, would it be conceivable a woman could
15 have the viremia and then be thinking about becoming
16 pregnant, and would it be best for her to decide not to, or
17 is that not an issue?

18 A lot of your data suggest that there is still a
19 lot of questions as to exactly what does parvovirus cause,
20 and I have a concern that maybe we haven't tested enough to
21 know exactly what the full implications of this infection
22 are.

23 DR. YOUNG: Taking the second comment first,
24 obviously, we don't, we are not absolutely confident of the
25 full spectrum, although I hope I conveyed my skepticism that

1 as the boundaries of parvovirus disease become extended, the
2 data become less and less reliable.

3 For the first, obviously, you can detect virus by
4 PCR for weeks, months, and many, many months after an acute
5 infection, but there is no evidence that, for example, in a
6 pregnant woman, would result in the typical problems in mid-
7 trimester. I mean, of course, you never say never, so I
8 can't tell you that it is absolutely impossible, but the
9 well documented, and there are many well-documented cases of
10 hydrops fetalis. When infection is known, it is known
11 during the mid-trimester, in other words, it is not an
12 infection, the woman doesn't remember getting fifth disease
13 before she became pregnant and then develop the hydropic
14 infant later.

15 I think that it is unlikely, and as long as
16 pregnant women are not part of the donor pool, as they are
17 not, they are the only category I can think of that would
18 concern me in terms of notification.

19 DR. HOLLINGER: Dr. McCurdy.

20 DR. McCURDY: There is something that I think goes
21 through a lot of the discussions that we have, this one and
22 a number of others, and that is that most of the
23 surveillance that has been done I think for B-19 has been
24 passive surveillance, and most of the surveillance for a lot
25 of other diseases has been passive surveillance.

1 Sometimes when active surveillance is added at a
2 later time, you get a vastly different picture. I can
3 remember when passive surveillance suggested that post-
4 transfusion hepatitis was about a half a percent or maybe 1
5 percent. A few years later, active surveillance showed that
6 it was really at that time 10 to 15 percent.

7 I think there are a number of other instances. I
8 think that parvovirus tends certainly not to produce any
9 significant disease, at least in most people, and I am
10 perfectly willing to accept that. I have long suspected,
11 however, even before I knew parvovirus was involved, that
12 normal individuals may have aplastic crises like patients
13 with sickle cell disease, but people with red cell survival
14 of 100 to 120 days don't miss a week of no red cell
15 production. It would hardly be measurable in the blood
16 hemoglobin level, for example.

17 DR. HOLLINGER: Any other comments? Yes, Dr.
18 Nelson.

19 DR. NELSON: I wonder if Dr. Young could comment
20 on the genetic diversity of B-19, in other words, are there
21 any problems with the DNA, the quantitation of the DNA based
22 upon genetic diversity or is this a very conserved region,
23 and that is not a problem?

24 DR. YOUNG: Kevin Brown can also comment on this.
25 Certainly the studies have been done have suggested there is

1 very little variation in B-19 isolates worldwide, and the
2 genome probably doesn't vary by more than a few percent.

3 The only exception, as I mentioned, the subject of
4 this one publication V-9 virus, which was found in a patient
5 with transient aplastic crisis and which was not detected by
6 PCR.

7 So, I think that there is the possibility that
8 there are other similar parvoviruses of the erythrovirus
9 type that will not be detected by most of the current PCR
10 strategies.

11 DR. HOLLINGER: Also, Dr. Young, do you think
12 there is a difference between the disease that is produced
13 when you have associated antibody? The issue often was that
14 in patients who were given hepatitis B immune globulin and
15 got infected, they probably had some of a modified disease,
16 didn't seem to become carriers, but had a modified disease
17 in a great way, and I am wondering if this is the same
18 thing, if you had a single unit, that this might be
19 completely different if they didn't receive other units with
20 antibody in there or something like this.

21 DR. YOUNG: There is no question that there is a
22 difference with B-19 as to whether there is antibody present
23 or not. As I showed in the normal volunteers, if there is
24 no antibody, then, you have a viremia that dominates. There
25 is fever, but you don't have the typical fifth disease

1 symptoms, and when there is antibody you get fifth disease
2 because it's due to immune complexes.

3 But I think beyond that, it is really difficult to
4 say, and having looked over the VITEX data, certainly, some
5 of the recipients who seroconverted didn't have typical
6 fifth disease symptoms, but I don't think we can speak more
7 to that because, you know, you are recognizing the disease
8 and then saying, well, I think maybe there is parvovirus,
9 and much as Paul said, it is rather passive.

10 So, one looks for parvovirus in the setting where
11 you have parvovirus typical symptoms, and it is hard to know
12 how to interpret the absence of that sort of correlation
13 since it has not been looked for, but I think it is
14 certainly possible, I just don't know.

15 DR. HOLLINGER: Dr. Boyle.

16 DR. BOYLE: Just two quick questions. It states
17 there are no confirmed reports of transmission by IG or
18 IGIV. Would it also be fair to say that there are no cases
19 in the literature of red cell aplasia in immune-deficient
20 patients on IVIG or IM?

21 DR. YOUNG: In fact, we treat those patients with
22 IVIG, so I don't know any cases in which pure red cell
23 aplasia has been induced by the administration of IVIG. I
24 have always assumed that that is protective.

25 DR. BOYLE: The second question is, is that two of

1 the risk factor that were mentioned were pregnancy and
2 immune deficiency.

3 Is there any multiplicity of risk factors? If
4 those two occurred at the same time, would it increase the
5 overall risk factor?

6 DR. YOUNG: Again, you are talking about two very
7 rare events, you would have to have a pregnant immuno-
8 deficient woman, so what you would predict is that a
9 pregnant immuno-deficient woman might develop both pure red
10 cell aplasia and also transmit virus to her fetus.

11 I actually think that that has occurred because
12 there are actually cases in the literature of multiple
13 pregnancies resulting in hydrops in pure red cell aplasia,
14 in a pure red cell aplasia woman, but we have never been
15 able to document that that was due to B-19.

16 DR. HOLLINGER: Colonel Fitzpatrick.

17 DR. FITZPATRICK: On the immune-suppressed
18 patients, we have got a lot of literature on CMV, but I
19 don't recall a lot on B-19 disease in immune-suppressed
20 patients.

21 Is there an incidence rate?

22 DR. YOUNG: No, it is far too low to actually
23 warrant an incidence rate, but as I mentioned, the pure red
24 cell aplasia that occurs, I don't think it is susceptible to
25 the virus. I mean everybody gets the virus. When they get

1 it, those patients who cannot mount an effective antibody
2 response have a risk which is unknown. I don't know that
3 it's 100 percent, but a risk of persistent viral infection
4 in the bone marrow and chronic pure red cell aplasia.

5 We know that that can occur in a number of
6 settings of immunodeficiency from congenital, Nisalot
7 syndrome, cytotoxic chemotherapy in a leukemic child during
8 consolidation, and in AIDS patients, but I don't know, for
9 example, whether that might occur in someone who is on 40 mg
10 of corticosteroids for a month. We just don't have that
11 much information.

12 DR. HOLLINGER: We have a question before the
13 committee, so I think we ought to deal with it.

14 I will just read it. Does the committee agree
15 that pending a policy on screening of whole blood donations,
16 FDA need not require studies to validated the clinical
17 effectiveness of NAT or B-19 DNA under IND for plasma for
18 further manufacturing?

19 Maybe, Tom, you could just briefly tell us what
20 are the implications of a yes or a no answer here.

21 DR. LYNCH: Maybe. It is kind of hard to give the
22 committee a real sense for how high the licensing standards
23 are for an in-process control. These are not trivial
24 hurdles to leap.

25 I did provide a small appendix that really was a

1 laundry list of the kinds of things that we look at, both
2 for an in-process control and a donor screen, so all of
3 those things would be in place up to the point where we
4 would ask a sponsor to go and screen 200,000 donations.

5 I think in this case, there is very little doubt
6 that screening a large number of donations from the general
7 public is going to generate a lot of positive results, and
8 they are going to be legitimate positive results, and I
9 think that information is not going to add a lot to the
10 confidence that the test is performing adequately over and
11 above the preclinical evaluation of the performance of the
12 assay using known samples, dilution panels and clinical
13 samples that have been set aside for exactly that purpose.

14 So, what I would envision is, in the near future,
15 a number of submissions coming in to the agency to implement
16 testing of plasmas in incoming raw material. We will have
17 to determine some performance characteristics of those
18 assays. I don't assume that we are going to get a one size
19 fits all PCR submitted that everybody is going to adopt, so
20 we are going to have to look carefully at the context in
21 which the testing is performed, the size of the pools, the
22 sensitivity of the assays, what sort of cutoff or level of
23 sensitivity and depending we should ask for.

24 Dr. Young and Dr. McDonough, I think, both
25 mentioned that we have a benchmark established through

1 clinical trials with the solvent detergent plasma, and
2 provisionally, pending the continuance of that trial, we
3 believe that plasma that has a fairly constant level of
4 antibody present in it, and levels of B-19 below 10^4 genome
5 equivalents will not transmit the virus to recipients of
6 that plasma. That needs to be verified fully by the
7 clinical trials, but that is an example of how a rational
8 threshold can be set.

9 How we will do that for the manufactured products
10 is not entirely clear yet. We are working on setting
11 criteria or standards for making those determinations now,
12 but I have a feeling that we are going to have to exercise
13 some flexibility depending on the nature of the product, the
14 nature of the manufacturing process, and the nature of the
15 test.

16 DR. HOLLINGER: So, this is a less stringent
17 process here than the clinical trials, which require a lot
18 more.

19 DR. LYNCH: I think there is a lot more overhead
20 associated with performing a clinical trial. In some cases,
21 you may be useful information out of the trial, and
22 therefore you may say it's more stringent because your
23 informational demand is higher, but we think actually the
24 stringency is equivalent in this case because there isn't a
25 whole lot of additional information that we anticipate would

1 be produced by going through the exercise of performing a
2 clinical trial.

3 We think that we are going to get the same level
4 of confidence, same level of assurance of the performance of
5 the test - sensitivity, specificity, reproducibility in
6 preclinical validations as we would in the clinic. So, in
7 that sense, it is just as stringent.

8 DR. BUCHHOLZ: I have a comment or a question
9 about the question. I have been impressed in the rather
10 nice distinction between the concept of donor screening and
11 in-process control, and I think that that is a very nice
12 intellectual construct in terms of helping us think about
13 this, but by phrasing the question the way it is phrased,
14 and using that word screening whole blood donations, does
15 FDA mean to imply that the rationale for this test being
16 used in the situation of whole blood or its components
17 might, in fact, be viewed differently than it would be
18 during plasma? That is, is the implication here that this
19 would be a blood screening test and subjected to different
20 requirements for whole blood than it would be for plasma?

21 Secondly, given the whole issue of whole blood, is
22 it appropriate for this committee, in a second question
23 perhaps, to provide some guidance to FDA on the whole issue
24 of what the relationship of this test should be to whole
25 blood donation?

1 DR. HOLLINGER: Dr. Epstein.

2 DR. EPSTEIN: On your several questions, yes, we
3 are saying that the debate whether there should be routine
4 screening of donors can be separated from the question of a
5 manufacturing process control, and it is therefore implied
6 that the standard for approving it as a donor screen might
7 indeed be different. So, the answer is yes to your first
8 question.

9 On the second point, which is--I am sorry, can you
10 repeat the second question?

11 DR. BUCHHOLZ: Whether it would be appropriate for
12 the committee to, at this time, make a recommendation to
13 FDA.

14 DR. EPSTEIN: No, we would argue--well, the
15 committee can do as the committee pleases, but the FDA is
16 not asking the committee for its opinion on parvovirus B-19
17 screening of the blood supply. We think that more data
18 would need to be examined and we think that the whole
19 question of whether it is feasible to do selective screening
20 of a small number of units to protect patients at risk would
21 have to be developed as a strategy before we would bring
22 that question to the table.

23 I think that it was Dr. Bianco who hinted at that
24 possibility, and we would want to develop that issue before
25 we brought the question to the table. So, I think that what

1 we are saying, to come back to your question that was
2 answered by Dr. Lynch, the practical implication of a vote
3 in the affirmative is that FDA would not ask manufacturers
4 to validate B-19 testing as a donor screen, therefore, we
5 would not be requiring that positive minipools be traced
6 back to identify the positive unit, we would not be
7 requiring that such identified donors be notified, we would
8 not be requiring any kinds of lookback tracing of recipients
9 of prior units.

10 So, in other words, we would be dissociating the
11 testing of the minipool from any issues related to medical
12 interventions related to the donor or the recipient of a
13 component, and we are asking, in sharp distinction to the
14 way we looked at the issue for HIV, HCV, and ultimately HBV,
15 where we said I am sorry, you have asked us whether we can
16 implement this as a process control, but we think you are
17 screening donors and should be screening donors, in this
18 case, we would be saying okay, if you are going to screen
19 minipools and simply pitch positive pools with the proviso
20 that you do identify and date untransfused units and remove
21 them, that we will not further regard this testing as donor
22 screening.

23 That is what a vote in the affirmative means. I
24 guess one more statement I would like to make. I think that
25 where some confusion is coming in is that if a manufacturer

1 implements parvovirus B-19 testing as part of the
2 manufacturing, and then seeks to make some kind of
3 effectiveness claim regarding parvovirus safety of the final
4 product, that might very well require additional clinical
5 studies of that final product.

6 We are just saying that the studies would not be
7 studies to validate the assay per se.

8 DR. HOLLINGER: Yes, Joel.

9 DR. VERTER: Dr. Hollinger has commented that I
10 haven't been vocal enough today, so, unfortunately he took a
11 little of my steam out of my question because I was going to
12 comment--and I will comment--that after five years on the
13 committee, this may be the last question I get to say this
14 on--but I think you took what probably could have been a
15 simple question and made it so complex, that it's hard to
16 figure it out.

17 You know, we have rewritten your questions many
18 times in these five years, but I appreciate your
19 clarifications, I understand it. I have only one question.
20 What happens to the pools that are identified as positive?

21 DR. EPSTEIN: The pools are virtual pools. You
22 have a set of units that may or may not go into
23 manufacturing. You pool samples from them, you test that
24 pool of samples, and then you decide whether you can or
25 cannot use the units themselves.

1 So, you don't actually have a manufacturing pool.

2 DR. VERTER: I understand. So, what would happen
3 to--

4 DR. EPSTEIN: So, if you test the minipool of, for
5 argument's sake, 20 units, samples from 20 units, and you
6 get a positive result, the proposal is that all 20 units
7 would then be discarded, and the question for FDA is whether
8 to take the point of view, no, you have to find the actual
9 positive unit and furthermore tell the donor and furthermore
10 do lookback, et cetera, and we are saying no, we are
11 proposing to the committee our willingness to accept it as a
12 process control, pitch the positive pool, you are done.

13 DR. VERTER: Given what has been said and what
14 little I know, I may be pretty naive here, doesn't that
15 suggest that an awful lot of units are potentially going to
16 be tossed?

17 DR. EPSTEIN: No, because as has been said, the
18 frequency of high titer positive units is fairly low, about
19 1 in 10,000, and the master pools would be pools of 100, so,
20 you know, it is not that high.

21 DR. HOLLINGER: Dr. Stroncek.

22 DR. STRONCEK: A couple of things. One, the
23 danger of a high titer pool, my understanding, it has been
24 looked at pooled samples, but many of these samples that
25 come from single donor units like NB red cells that would be

1 transfused, and we really don't know the difference in
2 clinical problems and a high titer versus low titer pool.

3 The second thing is, is there a timeline where
4 this testing has to be done, because if it's stretched out
5 long enough, and the pools are positive, all these red cells
6 would be transfused, so you are saying that they must get
7 rid of them. So, we are making the implication that it's
8 important not to transfuse these units, which I believe is
9 really relevant, because most patients that are transfused
10 at my institution are immune-suppressed, yet, you are not
11 giving any time restriction, and red cells only last 42
12 days, so many of these might be transfused.

13 DR. EPSTEIN: The vast majority of red cells
14 associated with donations for which there was a positive
15 minipool would have already been transfused under the
16 current scenario.

17 The committee could, if it wished, advise us that
18 we should encourage companies that implement this to do
19 testing in a time frame such that in-date units might still
20 be identified. The current scenario, however, is that
21 because these are mainly recovered plasmas, tested many,
22 many days later, that there are unlikely to be any in-date
23 units on the shelf.

24 But I do agree with you that we could apply some
25 regulatory pressure that the testing be done as soon as

1 possible to permit the interdiction of high titer units on
2 the shelf, but I think that that would be a question above
3 and beyond the issue of whether to further validate it as a
4 donor screen because it is already our policy that there
5 should be retrieval and presumably destruction of in-date
6 units.

7 We are just at the moment not changing the
8 scenario of testing that exists, which is that it is delayed
9 testing, but I accept your point that we might be missing
10 here an opportunity to interdict some percent of the high
11 titer positives that didn't happen to get used in the time
12 it took to do the testing.

13 But this is going to be delayed testing because it
14 is mainly recovered plasma being tested, at least in the
15 current environment.

16 DR. BUCHHOLZ: Jay, did you mean to imply, when
17 you talked about the minipool of 20 units or however many go
18 in, that that pool would be tossed, that, in fact, there did
19 not exist an option to identify the individual unit or if
20 that were done, that that triggered some other sort of
21 requirements to then do something above and beyond what you
22 would do if you simply threw all 20 units in the pool out?

23 I wasn't clear.

24 DR. EPSTEIN: We are being asked to accept a
25 proposal that if a minipool test on 20 units, what was

1 called the primary pool, is positive, that the manufacturer
2 would discard all 20 units whose samples constituted the
3 minipool and would not do any further testing to identify
4 the actual individual positive donation.

5 DR. BUCHHOLZ: So, the manufacturer would actually
6 be, in essence, prohibited from doing that.

7 DR. EPSTEIN: Wouldn't be prohibited, and it would
8 be physically possible. I think the underlying question is
9 whether there is an obligation to do it. You know, it does
10 change cost and logistics to require that they test further.
11 This was the very issue that we dealt with--

12 DR. BUCHHOLZ: It would still remain an option to
13 identify it further.

14 DR. EPSTEIN: Yes, it would.

15 DR. BUCHHOLZ: And not prohibit or not fall into
16 some other category is one went ahead to do that.

17 DR. EPSTEIN: No, we would not be prohibiting
18 further testing. The question is whether we would accept it
19 if it were not done. Now, again, it could be the sentiment
20 of the committee that should testing be performed in a time
21 frame where the likelihood of untransfused units exists,
22 then, it becomes more important to identify the unit, but
23 again, the alternative would be to pitch all the components
24 from all 20 donations.

25 It still is a small number because remember you

1 are only going to hit positive pools at the rate of about 1
2 per 100, you know, if it's 1 per 10,000 in pools of 100,
3 less than that when you get down to the pools of 20.

4 DR. HOLLINGER: Dr. Chamberland.

5 DR. CHAMBERLAND: Jay, just based on your last
6 couple of comments, I think I want to get a clarification
7 for myself.

8 I think when I initially saw this question posed,
9 I took it to be sort of a generic or a generalized question,
10 but your last comment seemed to relate it very specifically
11 to the one firm that has actually approached FDA and has a
12 very specific protocol or procedures in place with a
13 specific number of units constituting a minipool, et cetera.

14 I also heard Tom Lynch say that you expect there
15 will likely be other firms that come to you, and their
16 procedures may be different. There may be different numbers
17 of units in a minipool for that particular end product.

18 So, just to clarify, are we voting on really the
19 general question, not the specific VITEX procedure?

20 DR. EPSTEIN: Yes, you are voting on the general
21 question because we sort of have a watershed decision to
22 make here. We have a proposal in front of us to accept, if
23 you will, a manufacturing supplement, the implementation of
24 minipool testing for B-19.

25 We can either accept it based on preclinical data

1 or reject it on the grounds that it is insufficient because
2 a clinical trial is needed to validate the assay. What I
3 think Dr. Lynch has argued, and others have appeared to
4 support, is the concept that those additional studies would
5 not lend value to the assay for its purpose in controlling
6 the virus titer for the manufactured product.

7 We are just asking whether the committee concurs
8 with that view. Now, if it is a strongly held view that the
9 clinical issues pertinent to the donor lookback, you know,
10 possible infections in recipients are such that we should
11 not take that point of view, that would then be a vote in
12 the negative.

13 DR. HOLLINGER: I am going to call the question
14 here. The question is up there on the screen.

15 All those who in favor or vote affirmative on this
16 question, please raised your hand.

17 [Show of hands.]

18 DR. HOLLINGER: Any opposed?

19 [Show of hands.]

20 DR. HOLLINGER: Two.

21 Abstaining?

22 [No response.]

23 DR. HOLLINGER: Would you read the final vote,
24 please.

25 DR. SMALLWOOD: The results of voting. There are

1 11 yes votes, 2 no votes, no abstentions. There are 13
2 members eligible to vote here.

3 The industry representative agreed with the yes
4 vote. The consumer representative--

5 MS. KNOWLES: Would also agree.

6 DR. SMALLWOOD: -- would also agree.

7 DR. HOLLINGER: Thank you.

8 David, do you have a comment you want to make
9 presumably about what you just said a minute ago?

10 DR. STRONCEK: Yes. I am in favor of this process
11 to streamline the approval of this testing, but I have
12 reservations about ever having any knowingly parvo B-19 red
13 cells in inventory, transfusing them into a marrow
14 transplant patient or other immunosuppressed patient. It
15 would just not be acceptable, and I believe that the testing
16 should be done as quickly as possible, and if a plasma is
17 found to be positive, the red cell unit should be recalled
18 as quickly as possible.

19 DR. HOLLINGER: Just a comment to that. Do we
20 know that red cells, which have less plasma in them, are as
21 much of a risk as getting 220 mL of plasma?

22 DR. EPSTEIN: I don't think anyone knows that.

23 DR. HOLLINGER: I think we will move on then to
24 the next agenda item. It says here we are supposed to go to
25 lunch. [Laughter.]

1 The next item is on Antigen/Antibody Testing for
2 Malaria. It also is informational. We will start out with
3 an introduction and background by Dr. Syin.

4 **III. Antigen/Antibody Testing for Malaria -**

5 **Informational**

6 **Introduction and Background**

7 **Chiang Syin, Ph.D.**

8 DR. SYIN: Thank you, Mr. Chairman.

9 [Slide.]

10 Today I will take the opportunity to outline the
11 current status of malaria diagnostics development.

12 The known history of induced malaria starts
13 approximately a century ago when scientists in various
14 countries confirmed the infectivity of blood from malaria
15 patients to susceptible persons.

16 Early in this century the rise of blood
17 transfusion was accompanied by an increasing incidence of
18 transfusion malaria, the first case of which was documented
19 in 1911. Over the years, many tests have been developed for
20 malaria diagnosis primarily for patient management or
21 epidemiological studies. However, there is no FDA approved
22 test to date to screen donated blood for malaria risk.

23 Instead, blood establishments have to rely solely
24 on donor questioning to identify prospective donors at risk
25 for transmitting malaria. In light of recent progress in

1 the field of malaria diagnostics, especially in the area of
2 rapid diagnostics based on antigen capturing assays, we feel
3 it is appropriate to share with you what have learned about
4 these tests.

5 In today's session, you will hear a series of
6 presentations on malaria antigen/antibody testing. I will
7 present a brief background on malaria.

8 [Slide.]

9 It will be followed by Ms. Freddie Poole, a
10 scientific reviewer from our sister center, CDRH. She will
11 present the regulatory perspective on the antigen detection
12 assays for malaria.

13 The malaria rapid diagnostic devices currently are
14 undergoing field study in several endemic regions, which
15 will be presented by Colonel Robert Gasser of the Walter
16 Reed Army Institute of Research. He is the principal
17 investigator of these clinical trials sponsored by the
18 Department of Defense.

19 Dr. Phuc Nguyen-Dinh of CDC will then speak about
20 their analysis on transfusion transmitted malaria based on
21 data collected from 1963 to 1998 in the United States, and
22 their current thinking on malaria tests intended to prevent
23 the incidents of transfusion transmitted malaria.

24 [Slide.]

25 As you can see, malaria once considered close to

1 eradication in the sixties, has re-emerged as one of the
2 major threat to the world health.

3 Globally, over 40 percent of population, as shown
4 in red, are currently living under the shadow of malaria
5 with an annual mortality of 1 to 2 million.

6 It is estimated that there are more than 100
7 million of new infections each year.

8 Increasing development and spread of drug
9 resistance in malaria parasites, most noticeably Plasmodium
10 falciparum, has posed a great challenge for us to contain
11 malaria resurgence.

12 [Slide.]

13 There are four species responsible for all the
14 human malaria infections, namely, Plasmodium vivax,
15 Plasmodium ovale, Plasmodium malariae and Plasmodium
16 falciparum. Plasmodium vivax and Plasmodium falciparum are
17 the most prevalent and Plasmodium falciparum is responsible
18 for most of the deaths reported in malaria.

19 The immune status of infected host obviously plays
20 a role in the development of malaria, however, there are
21 several distinct characteristics on each species that I
22 would like to point out to you.

23 First of all is the incubation period, which is
24 the incubation period, which is the interval between
25 infection and the time that symptoms first appear. In

1 general, with the exception of Plasmodium falciparum, the
2 other species have all been documented to have long
3 incubation period.

4 Vivax and ovale malaria are also known to relapse,
5 which is caused by latent hepatic parasites, reinitiate a
6 new infection in blood. The infection by Plasmodium
7 malariae may last for many years. In some cases, it has
8 been documented as long as 50 years. The severity of the
9 infection due to Plasmodium falciparum, as you can see from
10 the slide, falciparum could have high parasitemia, and it
11 can also be seen in a very short period of time due to the
12 parasite tend to sequester in the infected patient.

13 [Slide.]

14 I am going to show you the life cycle of malaria
15 parasite. Most of the people probably know this. Malaria
16 infection in host is initiated by female anopheles mosquito
17 injecting sporozoites while taking a blood meal.

18 The sporozoites quickly travel into liver and
19 invade the hepatocytes and transform into schizonts through
20 the incubation period.

21 As they reach maturity, the hepatocyte will rupture
22 and they release thousands of merozoites into the
23 bloodstream. This is the stage causing all the symptoms and
24 all the merozoites as they invade a red cell. They will
25 through cyclic replication. This is also the major stage.

1 The malaria tests have been targeted into this stage.

2 [Slide.]

3 Our current policy on the donor deferral for
4 malaria risk was established in July 26, 1994, in FDA's
5 memorandum to registered blood establishments.

6 Within this memorandum, it states: Person who are
7 residents of non-endemic countries are deferred for one year
8 after return from the malarious area, provided they have
9 been free of symptoms suggestive of malaria.

10 The second item is the persons who have had
11 malaria are excluded for three years.

12 The third item is immigrants, refugees, citizens,
13 residents of malaria-endemic countries are excluded for
14 three years after leaving the malarious areas, provided they
15 have remained symptom free.

16 We have subsequently revised the donor
17 questionnaire and included the definition of residents,
18 which is five years or more, and an additional requirement
19 of three-year deferral for former residents of an endemic
20 area who traveled to endemic areas.

21 This has been included in a new draft, "Guidance
22 for Industry - Recommendations for Donor questioning
23 regarding possible exposure to malaria," as presented to
24 BPAC meeting during June, and we expect the guidance will be
25 issued later this year.

1 [Slide.]

2 In general, over the last 35 years, according to
3 what the data shows, the risk of transfusion transmitted
4 malaria in the U.S. remains very low. It's about 1 case for
5 every 4 million units collected. So far we have documented
6 91 cases resulting in 10 deaths between 1963 and 1998.

7 All four Plasmodium species has been documented.
8 It is interesting. Even though falciparum and vivax are the
9 most prevalent malaria in patients in general, donors,
10 malaria actually has occupied an equitable position as the
11 cause of transfusion transmitted malaria.

12 [Slide.]

13 In addition, we have tabulated the last five years
14 of error/accident reports received by FDA from blood and
15 plasma establishments for malaria risk.

16 This is provided by our Office of Compliance and
17 Biologics Quality.

18 On the list, "Post donation information" and "Gave
19 malaria history but not deferred" accounted for most of the
20 incidents.

21 You can see the total number in the last five
22 years, it is going through the increasing trends, and Fiscal
23 1999, we only have nine months data collected between
24 October 1st to June 30, 1999.

25 We estimate based on the nine months' data, you

1 can see this number is going to be over 1,500.

2 [Slide.]

3 In addition, we also need to carefully examine the
4 issue of blood supply. What I am showing in this slide is
5 the list of major donor deferrals in 1998, ranked
6 numerically and compiled by America's Blood Center.

7 Celso Bianco has kindly provided this slide to
8 show that among major risk factors for transfusion, malaria
9 actually has the second highest deferrals with over 15,000
10 donors out of 4 million donations collected by ABC being
11 deferred due to malaria risk, which is shown in this
12 highlighted area.

13 It's about 0.38 percent of total donors for ABC.

14 Based on this projection, Dr. Bianco also
15 estimates of all U.S. donors based on their data suggests
16 that close to 50,000 donors will be deferred in the U.S.
17 donor population.

18 The potential impact on the nation's blood supply
19 cannot be overlooked.

20 Again, a good screening test for malaria may help
21 to resolve this issue.

22 [Slide.]

23 I am going to show you the last slide in my
24 presentation.

25 Let me summarize current malaria diagnostics as

1 shown in the slide. I won't go into the details since most
2 of them will be discussed by the other speakers.

3 Microscopic examination of Giemsa-stained thin or thick
4 (preferred) smears is considered the gold standard of
5 malaria diagnostics.

6 The reading of the smears by a well-trained
7 microscopist could confirm malaria infection, the species
8 involved, and the parasitemia. However, this is a labor-
9 intensive process that requires a high degree of expertise
10 for proper identification. Therefore, it is considered not
11 suitable for blood screening purpose.

12 QBC system, a Class III device cleared by CDRH
13 under 510(k), is a test developed by Becton Dickinson based
14 on acridine orange staining. In addition to the above
15 mentioned disadvantages for microscopy exam, QBC cannot
16 differentiate among Plasmodium species.

17 Other tests such as PCR and serological tests are
18 mainly developed by many laboratories for research uses.
19 Most of the current commercial development is in the rapid
20 diagnostics arena. These tests are all antigen capturing
21 assays targeting either histidine rich protein 2 or lactate
22 dehydrogenase in Plasmodium falciparum. The antigens for
23 other species have not been identified by the manufacturers.

24 What I am going to do is I am going to stop here
25 and turn it over to Ms. Freddie Poole of CDRH. She will go

1 over the regulatory prospective of CDRH on malaria
2 diagnostic tests.

3 Thank you.

4 **Presentation**

5 **Freddie Poole**

6 MS. POOLE: Thank you, Dr. Syin.

7 [Slide.]

8 At the Center for Devices and Radiological Health,
9 in vitro diagnostic devices are regulated under the
10 authority of the Food, Drug, and Cosmetic Act. The Food,
11 Drug, and Cosmetic Act was amended in May 1976 to add
12 medical devices, in January 1990 to add the Safe Medical
13 Devices Act, and in 1997 to add the FDA Modernization Act.

14 Before I go into the specifics regarding Malaria
15 Antigen Detection assays, it is important to understand how
16 devices are regulated at the Center for Devices and
17 Radiological Health.

18 In vitro diagnostic devices are classified under
19 the Act in Section 513(a). They have three classes - Class
20 I devices and Class II devices usually can be compared to
21 another device or found to be substantially equivalent to a
22 legally marketed device.

23 [Slide.]

24 For Class I devices, general controls can provide
25 reasonable assurance of the safety and effectiveness of the

1 device, for example, good manufacturing practices,
2 registration and listing, and recordkeeping by the sponsor.

3 For Class I devices, the diagnostic use of the
4 test result is not represented as being used to support life
5 or as having substantial importance in preventing impairment
6 of human health, and the diagnostic use of the test result
7 does not prevent a potential unreasonable risk of illness or
8 injury.

9 [Slide.]

10 For Class II devices, general controls are
11 insufficient by themselves. Special controls are required
12 to provide reasonable assurance of the safety and
13 effectiveness of the device. The type special controls that
14 we use are guidance documents and the labeling regulations
15 which are found in 21 CFR 809.10.

16 For Class I and Class II devices, manufacturers
17 submit a premarket notification or a 510(k) as we call it,
18 as described in Section 510(k) of the Act.

19 [Slide.]

20 Class III devices, on the other hand, usually have
21 test results that are critical in the diagnosis of the
22 disease. The test results presents a risk of misdiagnosis,
23 which leads to illness or injury. Class III devices must
24 therefore be supported by valid scientific evidence, usually
25 in the form of well-controlled clinical trials.

1 A premarket approval application, or a PMA as we
2 call it, is submitted for Class III devices.

3 [Slide.]

4 Malaria antigen detection devices or devices for
5 the detection of Plasmodium antigen or antibody are of
6 substantial importance in the diagnosis and treatment of a
7 life-threatening illness. CDRH has therefore determined
8 that these devices are Class III devices requiring a PMA.

9 [Slide.]

10 Microscopic examination of thin and thick blood
11 films has been considered and still regarded by CDRH as the
12 standard reference method for diagnosing infection with
13 Plasmodium species.

14 The only device cleared by CDRH is the QBC malaria
15 system. The QBC malaria system is a qualitative screening
16 method for detecting Acridine Orange stained Plasmodium
17 species using a fluorescent microscope. The QBC was
18 classified as a Class III automated differential cell
19 counter in 1982. The QBC tube at that time was approved for
20 the quantitative determination of white blood cells,
21 granulocytes, lymph, and monocytes. This system, however,
22 does not differentiate or identify Plasmodium species.

23 [Slide.]

24 FDA is aware that malaria antigen detection assays
25 have been developed that capture Plasmodium falciparum

1 antigen from a blood sample. There are a number of devices
2 described in the literature that detect Plasmodium
3 falciparum and some Plasmodium vivax.

4 The literature contains reference to monoclonal
5 and polyclonal antibodies raised against specific antigens,
6 a heat-stable Pf9 and a histidine-rich protein PfHRP-2.
7 Assays use an IFA-IHA, ELISA Methodologies have also been
8 described, as well as DNA probe for falciparum. However,
9 none of these assays have been approved by the FDA.

10 A PMA for a malaria antigen detection test must
11 therefore contain sufficient information to demonstrate that
12 the device is safe and effective. At CDRH, review criteria
13 have been developed to guide manufacturers in conducting
14 clinical studies that would yield valid scientific evidence.

15 [Slide.]

16 The review criteria consists of nonclinical
17 studies. The studies are usually done in laboratories and
18 they are done to develop analytical information, as well as
19 to validate the assay.

20 During these studies, characterization of the
21 components of the assay, which includes description of the
22 antigen, the antibodies, the quality control material, as
23 well as standards, all calibrators are conducted.

24 The limits of detection of the assay must also be
25 evaluated. Cutoff values or setting of the cutoff values

1 are conducted. Reproducibility studies or precision studies
2 are done, and those include intra- and inter-assay, as well
3 as lot-to-lot reproducibility.

4 If the device is intended for point of care, then,
5 the reproducibility studies should be conducted at
6 representative sites.

7 Cross-reactivity studies for malaria antigen tests
8 cross-reactivity studies are conducted to challenge the
9 antigen or the antibody selected.

10 Retrospective specimens from patients infected
11 with other Plasmodium species and other similar parasitemias
12 are evaluated, and patients infected with microorganisms
13 that affect similar symptoms.

14 Interference studies are evaluated and they are
15 usually done using samples containing substances, either
16 endogenous or exogenous.

17 Stability data is also conducted to stress the
18 storage and shipping conditions of the sample and the assay.

19 [Slide.]

20 Clinical studies are the most important part of
21 the studies that are done, because during the clinical
22 studies, the manufacturer or sponsor must demonstrate that
23 the assays are safe and effective for the persons for whose
24 use the device is intended. The studies should support the
25 intended use of the assay and the probable benefit to health

1 should outweigh any injury associated with its use, and the
2 reliability of the device must be demonstrated.

3 Clinical studies must be conducted following a
4 unified study protocol. Clinical studies done abroad are
5 acceptable. If the studies are not conducted in the United
6 States, they should follow the Declaration of Helsinki.

7 [Slide.]

8 The following studies are important evidence of
9 safety and effectiveness:

10 First, clinical sensitivity. To demonstrate the
11 sensitivity of the assay for detecting Plasmodium
12 falciparum or for differentiating Plasmodium falciparum from
13 the other Plasmodium species, the following type information
14 is provided.

15 1. There should be clearly defined populations to
16 reflect the intended use of device.

17 2. A clear description of how disease status was
18 determined, for example, clinical presentations, microscopic
19 examination of thin and thick blood smears. Patient
20 histories, to include the symptoms, diagnosis, and any other
21 laboratory diagnosis. Patient consent forms are also
22 included.

23 3. A clinical protocol must be submitted. The
24 protocol should clearly define the objectives of the study,
25 exclusion and inclusion criteria, and the study design. All

1 test methodologies, microscopic procedures, quality control
2 and quality assurance methods must be developed and
3 included.

4 4. The device should be tested at a minimum of
5 three distinct geographical locations. Sites and
6 investigators should also be identified.

7 [Slide.]

8 Clinical studies also should include clinical
9 specificity. To determine the specificity of the assay for
10 detecting Plasmodium falciparum or for differentiating
11 falciparum from the other Plasmodium species.

12 The population tested should include patients with
13 microscopic evidence of other Plasmodium species, other
14 parasitemias, and other conditions with similar symptoms. A
15 description of the method used to determine disease
16 conditions should also be included.

17 [Slide.]

18 Validation of Cutoff Values. The clinical studies
19 should include a validation that the cutoff values
20 determined in the non-clinical studies are appropriate for
21 the target population. The patients tested should also
22 represent the spectrum of disease, that is, early through
23 fulminant stages.

24 [Slide.]

25 Statistical analyses, when possible, should

1 include an analysis of Receiver Operating Curves and
2 consideration for use of equivocal zones to help minimize
3 false positive and false negative results.

4 [Slide.]

5 Our Division looks forward to working with
6 sponsors to help get new malarial diagnostics into the
7 market, and we also meet with manufacturers and review
8 clinical protocols before the studies are implemented.

9 Thank you, and I will take any questions after.

10 **Presentation**

11 **Robert A. Gasser, Jr., M.D.**

12 DR. GASSER: I am Colonel Bob Gasser from the
13 Department of Immunology at the Walter Reed Army Institute
14 of Research.

15 [Slide.]

16 The Department of Immunology is really the malaria
17 vaccine development group at the Walter Reed Army Institute
18 of Research. As Dr. Syin indicated, for the last two years
19 I have been the principal investigator behind our effort to
20 identify a malaria rapid diagnostic device that we could
21 bring in to the military inventory.

22 [Slide.]

23 Malaria is a gigantic problem around the world and
24 it's a major problem for U.S. armed forces that were forced
25 to deploy in endemic areas around the world.

1 [Slide.]

2 For the past 100 years, since malaria parasites
3 were first characterized, light microscopy has been the
4 benchmark standard for diagnosis. In expert hands, this
5 technology is extremely sensitive and highly specific, and
6 in addition to identifying the presence of the parasite, a
7 single assay provides a wealth of clinically relevant data
8 including the species of the parasites present and the
9 severity of the parasitemia which correlates directly with
10 the severity of disease.

11 [Slide.]

12 Problems with light microscopy, though, have
13 existed really since the outset of its use, and these
14 problems have not been amenable to ready solution over the
15 passage of the years. Notable problems include skills of
16 the microscopist. This is difficult to maintain in
17 nonendemic areas. That is a major problem within the United
18 States and for Western physicians in general, for Western
19 laboratory personnel, and in endemic areas of the world, the
20 financial resources available for health care systems are
21 usually sufficiently limited that maintaining high degrees
22 of skill in the laboratory personnel is also difficult.

23 In addition, light microscopy has some inherent
24 problems. Infection with *Plasmodium falciparum*, which is
25 the most serious form of malaria, during its 48-hour asexual

1 life cycle stage in the blood, the latter half of that 48-
2 hour period is associated with parasite sequestration in the
3 visceral circulation with the potential for false negative
4 blood smears to result when peripheral blood is sampled and
5 stained.

6 Mixed infections have historically been
7 substantially under-diagnosed by light microscopy. This is
8 becoming increasingly clear as new technologies, such as PCR
9 and antigen capture tests, have allowed a re-evaluation of
10 the presence of multiple species in single clinical
11 specimens.

12 In situations of low-power parasitemia, light
13 microscopy faces limitations, and in the endemic areas of
14 the world, the cost and the fragility of the equipment
15 required pose major problems, as well.

16 [Slide.]

17 For these reasons, alternative assays have been
18 sought. In the military, we identified certain
19 characteristics that alternative diagnostic technology would
20 have to have before it would be useful for us.

21 First of all, sensitivity and specificity would
22 have to approach those of microscopy in expert hands. This
23 is substantially in excess of the sensitivity and
24 specificity of microscopy as routinely performed in most
25 settings.

1 Secondly, to the extent that reagents are involved
2 in such assays, the reagents would have to retain stability
3 after a substantial exposure--and we define "substantial" as
4 being on the order of one year--substantial exposure to
5 ambient environmental conditions of heat and humidity.

6 In addition, such assays would need to be simple
7 to operate. There is no point in replacing the complex
8 skills required by microscopy for a complex set of skills
9 required to operate one of these assays, and for military
10 purposes these assays would need to be physically robust.
11 They are going to get beat up in helicopters, trucks, et
12 cetera, and we need to know that they are going to be able
13 to stand up to the kind of abuse they are likely to take.

14 [Slide.]

15 During the 1990s, civilian sector development of
16 rapid malaria diagnostic devices advanced. Most of these
17 devices employ a common technology, which is antigen capture
18 on a membrane, and then additional reagents to produce a
19 visible readout, in other words, immunochromatographic types
20 of assays.

21 [Slide.]

22 The next series of slides gives a graphic
23 demonstration of the technology involved. I apologize, I
24 realize that this a sophisticated audience and you may be
25 very familiar with the concepts here, but I would like to

1 make sure that everybody has a common frame of reference as
2 we talk about this.

3 This slide illustrates a blood sample, typically
4 in a well, or it could be applied directly, at the base of a
5 wick. The wick is typically nitrocellulose. To the wick is
6 bound a monoclonal antibody stripe targeted against a
7 specific Plasmodium antigen. The blood sample will either
8 have Plasmodium antigen in it if the patient has disease, or
9 not if the patient does not have malaria.

10 [Slide.]

11 The blood carrying the malarial antigen with it
12 wicks up the strip.

13 [Slide.]

14 As the antigen within the wick blood passes the
15 bound antibody stripe, it binds in turn to that antibody
16 stripe.

17 [Slide.]

18 A clearing reagent, typically containing a
19 detector antibody with some sort of labeling on it--and
20 different types of labeling technology are used, either
21 colloidal gold, liposomes with dye, et cetera--is then added
22 to the well or to the bottom of the strip.

23 [Slide.]

24 This, in turn, wick is past the bound antibody
25 stripe creating an antibody-antigen-antibody sandwich.

1 [Slide.]

2 And the detectors give a visible readout.

3 Typically, these assays require anywhere from 10 to 30
4 minutes to run.

5 [Slide.]

6 By the summer of 1997, we assessed that these
7 types of assay had matured to the point that they were of
8 potential military utility, however, then, as now, there
9 were none of these devices which are FDA approved, nor which
10 are commercially available in the United States.

11 The Department of Defense today is required to
12 have FDA approval for diagnostic devices, drugs, and
13 vaccines that it uses, so purchasing an off-shore
14 manufactured device that didn't have FDA approval is not an
15 option for us.

16 [Slide.]

17 In preparation for attempting to bring one of
18 these assays into our inventory, we sat down and defined
19 what kind of sensitivity and specificity criteria these
20 devices would need to meet. We made several assumptions.
21 First, we gave high priority to the detection of Plasmodium
22 falciparum since this species of malaria-causing parasite is
23 associated with the greatest morbidity and with the risk for
24 mortality. The other species of human malaria very rarely,
25 if ever, cause fatality.

1 Secondly, we placed priority on detecting
2 parasitemia at higher levels of parasitemia for several
3 reasons. Higher levels of parasitemia are obviously
4 associated with greater imminent morbidity and risk of
5 mortality, and secondly, if a test failed to detect
6 parasitemia at lower levels, but had the potential to
7 salvage a diagnosis as the parasitemia increased, it would
8 still have potentially utility.

9 Specificity is important, but we felt that the
10 risk of a false positive result was less than the risk of a
11 false negative result, and with those basic criteria in
12 mind, we developed these criteria. Keep in mind that 5,000
13 parasites per microliter, which is what we defined as high
14 parasitemia, is equivalent to 0.1 percent parasitemia.

15 Typically, in severe malaria, you have 1 percent
16 parasitemia, so this is two orders of magnitude below what
17 would typically be expected to be severe malaria caused by
18 *Plasmodium falciparum*.

19 [Slide.]

20 We selected after a thorough survey, we selected
21 four assays for testing. Only one of these assays at the
22 time was commercially available overseas. That was the
23 ParaSight F assay. The other three assays we tested were
24 all essentially prototypes.

25 [Slide.]

1 The objectives of our studies have been to compare
2 these assays simultaneously under clinical conditions using
3 microscopy as the reference standard, and to define the
4 sensitivity for both *P. falciparum* and *P. vivax* at defined
5 levels of parasitemia and to define the specificity of these
6 assays for both of these two species.

7 [Slide.]

8 The subjects that we have enrolled have been
9 patients presenting at the local clinics in endemic areas
10 for diagnosis and therapy of possible malaria. Our study
11 sites have been Iquitos, Peru, and Maesot, Thailand. We
12 have also had a study site at the University of Toronto, the
13 Travel and Tropical Medicine Center there, but our subject
14 enrollment there obviously has been much, much lower than it
15 has been in these other endemic area sites.

16 [Slide.]

17 Subjects. Inclusion criteria included the
18 presence of one of the following three symptoms: fever or
19 headache or history of fever within 72 hours of enrollment.
20 We enrolled patient 1 year or older in Peru, and due to
21 local human use considerations in Thailand, we were
22 restricted to enrolling patients 15 years of age or older
23 there.

24 [Slide.]

25 Exclusion criteria in the study we conducted last

1 year was that we excluded patients who had received
2 antimalarial drugs, and this would also include antibiotics,
3 such as sulfa drugs, fluoroquinolones, tetracyclines. It
4 might have been given for bacterial infections, but which
5 would be recognized to have antimalarial effects, or who
6 were taking actual antimalarial therapy at the time of their
7 presentation.

8 [Slide.]

9 Subjects were interviewed, their temperature
10 taken. If eligible and willing, they were enrolled, and we
11 drew their blood into EDTA containing tubes.

12 [Slide.]

13 Two study slides were prepared and one slide was
14 prepared and given to the local clinic staff. The local
15 clinical staff stained their own slide, read their own
16 slide, and made their treatment decisions based on the slide
17 that they had stained and read themselves. We saved two
18 slides for subsequent staining and interpretation by our
19 study staff.

20 [Slide.]

21 Precisely quantitated amounts of blood were
22 delivered to these rapid diagnostic assays during our
23 protocols. We recognize that this is not quite the way that
24 they will be used in the typical clinical environment, but
25 we wanted a high level of precision for our studies.

1 Each assay was tested by a separate technician who
2 was blinded to the results of the microscopy and was also
3 blinded to the results of the other assays which were being
4 performed.

5 [Slide.]

6 Both of the two study microscopy slides were
7 stained by protocol method. We had two microscopists read
8 the first slide. The second slide was saved for later, as I
9 will explain in a moment.

10 Using identical model microscopes and lenses at
11 all sites, the microscopists were blinded to each other's
12 interpretations. They had to read a minimum of 200 thick
13 film oil-high power fields before calling a slide negative.

14 [Slide.]

15 Concordance of both microscopists was required for
16 the presence of parasites, the species of the parasites, and
17 the quantification of the parasites within a factor of 2.

18 [Slide.]

19 If the microscopists agreed, their reading was
20 defined to be the true study result for microscopy. If
21 their readings were not concordant, a third microscopist
22 both slides 1 and 2, and using the combined findings on
23 slides 1 and 2, that result was used as the final true
24 microscopy result.

25 [Slide.]

1 We enrolled 2,162 patients in Thailand last summer
2 of whom 2,155 were evaluable. The 7 excluded patients
3 essentially were patients in whom it turned out the history
4 forms had been filled out inadequately or in whom there were
5 technical problems with the way the informed consent had
6 been filled out.

7 [Slide.]

8 Similarly, in Peru, we had 838 evaluable patients
9 out of 844 enrolled.

10 [Slide.]

11 Of our total patient enrollment of almost 3,000
12 subjects, 18 percent had *Plasmodium falciparum*, 22 percent
13 had *Plasmodium vivax* by microscopy, 1 percent were found to
14 have mixed *Plasmodium* and *vivax* infections. About 0.1
15 percent had *Plasmodium malariae*, and 59 percent were
16 negative.

17 Six percent of the subjects had nonconcordant
18 microscopy readings. More than half of these nonconcordant
19 results involves discrepancies in the quantification of
20 parasitemia at very low levels of parasitemia where the
21 difference in identifying one or two parasites was
22 sufficient to throw off the quantification by a factor of
23 more than 2. So, we felt that this high level of
24 microscopic concordance, which is really quite in excess of
25 what you will see in most published studies that have looked

1 at this, testified to the high level of skill of our
2 microscopists.

3 [Slide.]

4 For the ParaSight F test, which detects only
5 falciparum, we came up with the following results: the
6 overall sensitivity was 95 percent, sensitivity for
7 parasitemia in excess of 5,000 parasites per microliter was
8 98 percent. The sensitivity dropped slightly as the
9 parasitemia dropped, but even at very low parasitemias,
10 parasitemias of zero to 500 per microliter, the sensitivity
11 remained at 83 percent, and the specificity was at 86
12 percent.

13 As you can see, the sample sizes were large enough
14 that we were able to get tight confidence intervals.

15 [Slide.]

16 The ICT malaria test, which is manufactured by an
17 Australian firm, Amrad ICT, also performed very well. You
18 can see the sensitivity was extremely high, 100 percent for
19 parasitemias in excess of 500 parasites per microliter.
20 Specificity for this assay was lower, though, only 67
21 percent.

22 [Slide.]

23 The ICT malaria test also detects vivax. The
24 format of this test is that there is one test stripe that
25 comes up positive for non-falciparum malaria or vivax

1 malaria only, and there is a second test line which comes up
2 for positive for falciparum only. In some falciparum cases,
3 both test lines may come up, so if you get both test lines
4 up, it is an ambiguous result. It could represent either
5 falciparum alone or it could represent falciparum plus
6 vivax, mixed infection.

7 You will see two sensitivity results listed in
8 this table, one defined as strict. The strict result was
9 that case in which the vivax line came up and the falciparum
10 line did not come up. The open sensitivity is the result in
11 which both lines came up. Results in which the falciparum
12 line came up are clearly false negatives for vivax.

13 This device, therefore, had pretty significant
14 limitations in its ability to correctly characterize
15 Plasmodium vivax although in those in those patients who had
16 Plasmodium vivax, it did fairly well at detecting the
17 presence of malaria.

18 We do not know at this point to what extent this
19 represents a failure of microscopy to correctly identify
20 mixed infections, and we are further examining that issue
21 using PCR.

22 [Slide.]

23 The results of our studies from last year
24 indicated that no one of the assays we tested met all of our
25 needs although several came close. We provided feedback to

1 the manufacturers, conducted a second trial this year with
2 refined products, and the results of which are pending, but
3 which look improved at this point.

4 [Slide.]

5 We believe that this technology does hold near
6 term promise for reliable malaria diagnosis and that there
7 are several potential arenas in which it might be useful.
8 Obviously, the first one and the one that we in the military
9 are the most interested in is that it offers the opportunity
10 for rapid and reliable clinical diagnosis in field settings.
11 There is also the potential to use these assays as
12 epidemiological tools potentially in malaria control efforts
13 and potentially for blood product screening.

14 However, we recognize that for these different
15 uses, modified formats and/or altered calibrations of
16 performance emphasizing sensitivity even more highly over
17 specificity might be required.

18 Thank you.

19 DR. HOLLINGER: Thank you.

20 **Presentation**

21 **Phuc Nguyen-Dinh, M.D.**

22 DR. NGUYEN-DINH: My name is Phuc Nguyen-Dinh. On
23 behalf of my colleagues at the Division of Parasitic
24 Diseases at CDC, I would like to discuss with you the
25 potential role of testing for antigen and antibodies in the

1 prevention of transfusion-transmitted malaria.

2 [Slide.]

3 First, a reminder about the extent of transfusion
4 malaria in the United States. This is a review by Dr. Mary
5 Munga and her colleagues of the past 35 years. The bars
6 show the number of cases, and the curve shows the incidence
7 of cases. What this figure shows to us in that in the last
8 decade there has been no major variation in incidence of
9 transfusion malaria. The values remain relatively low as
10 mentioned by Dr. Syin earlier at 0.25 cases per million of
11 units collected. That is an estimate, of course.

12 [Slide.]

13 During that period, there were 91 cases for an
14 average of 2 to 3 cases per year. There were 10 deaths,
15 which is a case fatality rate of 11 percent.

16 As mentioned earlier by Dr. Syin, all the four
17 species were involved in the transfusion cases, with an
18 increase during the recent years of the proportion due to
19 Plasmodium falciparum, which is the most virulent of the
20 four species.

21 [Slide.]

22 These are the guidelines to prevent transfusion
23 malaria, and we can just review them quickly. The current
24 criteria for deferral are based on history. Potential
25 donors are going to be deferred if they have had malaria, or

1 if they have been in a malaria endemic area.

2 For the travelers, the deferral periods vary from
3 one year to three years, depending on whether the donor has
4 been a resident of an endemic country or not.

5 [Slide.]

6 This slide shows that these deferral criteria did
7 not completely prevent transfusion malaria. Among the 91
8 cases, in 58 donors there was enough information to indicate
9 that 62 percent of the donors should have been excluded if
10 the donor deferral guidelines had been correctly applied.

11 Of greater concern, in 38 percent, the donor would
12 not have been excluded by these criteria. Among these
13 donors, two-thirds had Plasmodium malariae, which is the
14 species that can persist for a lifetime while causing only
15 minimal symptoms in the carrier.

16 The next question then is whether these donors
17 would have been deferred if, in addition to screening by
18 history, a laboratory test had also been used.

19 [Slide.]

20 Laboratory tests can be useful in identifying the
21 implicated donors. Among 65 such donors, in most cases the
22 identification was by a laboratory test. Most often it was
23 a positive malaria serology, showing that the donor had been
24 exposed to the parasite.

25 Serology was done by immunofluorescence, IFA, and

1 in most of the cases that was done the CDC lab. In a
2 smaller proportion, parasites were demonstrated by
3 microscopic examination of the donors' blood, which is the
4 proof of active infection. In some cases, a very small
5 proportion, 4 percent, the donors were identified because
6 they were the only donor implicated.

7 Please note that these laboratory tests were not
8 performed with the leftovers or the segments of the donated
9 blood units. They usually were conducted during
10 epidemiologic investigation by going back to the donor and
11 collecting blood from them. Thus, these test results do not
12 necessarily reflect what would be found in the donated blood
13 itself.

14 [Slide.]

15 In the implicated donors in whom blood information
16 was available, one-third has a positive blood smear, and
17 practically all had a positive serology.

18 Thus, if these donors had had these tests done,
19 practically all would have been deferred on the basis of
20 serology, and a third would have been deferred based on the
21 findings of parasites.

22 In this case, we are talking about the microscopic
23 examination of a blood smear, which, when performed by a
24 well-trained laboratorian, as mentioned by Dr. Gasser,
25 should detect parasites densities, which are as low as 5

1 parasites per microliter. That is something like 250
2 parasites per drop of blood.

3 [Slide.]

4 Next to microscopy, as again discussed by the
5 previous speakers, there are other techniques that have been
6 developed. However the techniques for antigen detection do
7 not have a much better sensitivity than microscopy, as was
8 shown earlier.

9 Here I have some figures also from other studies.
10 In a study in Kenya, the immunochromatographic test for HRP-
11 2 detected with 100 percent sensitivity only the blood
12 specimen that had 100 or more parasites per microliter, a
13 reminder again, a good microscopist would detect 5 parasites
14 per microliter.

15 In travelers seen at the Hospital for Tropical
16 Disease in London, the test for pLDH, which has also again
17 been mentioned by Dr. Gasser, approached 100 percent
18 sensitivity only in patients with 500 or more parasites per
19 microliter.

20 One test that shows a much higher sensitivity
21 would be PCR. A paper published from Vietnam describes its
22 use for blood screening and in a dilution study described in
23 that particular paper, PCR was found to detect parasite
24 densities of 0.1 parasites per microliter for Plasmodium
25 falciparum and around 1 parasite per microliter for

1 Plasmodium vivax.

2 [Slide.]

3 This figure is comparing the various techniques
4 for detecting malaria parasites and their products.

5 The horizontal arrow shows increasing
6 concentrations of parasites in the blood, and the vertical
7 arrows show the sensitivities - the lowest parasite
8 densities that can be detected by the various tests.

9 As said before, microscopy in the green arrow done
10 by a skilled technician, and again we have to insist on the
11 skilled technician, would detect down to 5 parasites per
12 microliter. The various techniques for antigen detection
13 that have been described by Dr. Gasser and also the previous
14 table will detect at best 10 to 100 parasites per
15 microliter, and also please note that one of the antigen
16 detection systems, the one that is measuring HRP-2, is going
17 to detect only 1 or 2 of the 4 species that infect humans,
18 so it will not detect, for example, Plasmodium malariae,
19 which you remember was a big component in the species
20 infecting the blood transfusion units.

21 The test that can detect the lowest concentration
22 of parasites is in the orange arrow, that is PCR, and it has
23 a threshold 50 times lower than microscopy. Its threshold
24 is 0.1 parasite per microliter.

25 The next question then is how would these tests

1 function to prevent transfusion malaria?

2 [Slide.]

3 Not too well, I am afraid.

4 Classic studies have shown that a human can be
5 infected by inoculating 10 parasites. Specifically, in that
6 particular experiment, it was Plasmodium vivax. If we
7 suppose that those 10 parasites are in a unit of blood, that
8 corresponds to a parasite density of 2.5×10^{-5} per
9 microliter of blood, and that is at a very far away end from
10 the PCR, which is the most sensitive technique that is
11 currently available. It is 4,000 times lower than the
12 threshold by PCR, and of course it is much lower than the
13 threshold by the less sensitive techniques, such as
14 microscopy and antigen detection.

15 One note of caution, however. We do not know
16 where the parasite densities in the actual blood units that
17 were given to the cases of transfusion malaria, where those
18 parasite densities would be.

19 We know from the last three cases that were seen
20 at CDC, that the parasite density was low enough not to be
21 detectable by microscopy, but high enough to be detectable
22 by PCR. So, it was somewhere here. But the universe of the
23 91 cases, we don't know where the parasite densities would
24 be. Maybe they are here, and they could be detected by this
25 technique.

1 [Slide.]

2 An alternate approach would be to detect
3 individuals who have antibodies against malaria, and who are
4 thus at risk of still having infection. Antibody detection
5 does not quantify parasite densities. Even very low
6 parasite densities can result in production of antibodies.

7 That serology might be a good option is suggested
8 by the fact, that I have shown earlier, that it can be more
9 effective in identifying implicated donors, and that the
10 near totality of implicated donors were positive.

11 In addition, seroconversion occurs during the
12 couple of weeks following infection. Thus, the seronegative
13 window would be during or shortly during the travel or
14 during the malaria episode, a period at which the donor
15 would be deferred at any rate based on the history.

16 [Slide.]

17 The main disadvantage of serology is that
18 positivity can persist, as you know, for a long time, years
19 after the infection has been cleared. This will result in
20 the exclusion of individuals who have retained a positive
21 serology from a past infection that is now cured.

22 If serology were to be adopted for mass screening,
23 there would be technical problems to address. Large amounts
24 of antigen would have to be produced, and that would have to
25 be provided by something else than the crude parasite

1 extractor currently being used. Probably one would have to
2 resort to other things such as, for example, recombinant
3 protein or peptides.

4 The test should also be able to react and to
5 detect antibodies to all the four species, not only
6 *Plasmodium falciparum*.

7 The test also should be automated, using a format
8 such as ELISA, rather than the more cumbersome IFA.
9 Finally, the issue of whom to test should be addressed.
10 Should it be all the potential donors regardless of the
11 history, or should it be only those donors at risk based on
12 recent travel?

13 To address these questions, it might be
14 informative to see what the other countries do.

15 [Slide.]

16 In France, they use a combination of history and
17 serology as criteria for deferral. Individuals with a
18 history of malaria are deferred permanently. Returning
19 travelers are deferred for four months. From that time on,
20 up to three years, they are screened with serology.

21 The serology is by immunofluorescence, using an
22 antigen which is only *Plasmodium falciparum*, and not the
23 other species, using these parasites grown in culture. The
24 test is either made in-house, using an in-house format, or
25 it uses a commercially available kit, which is called

1 Falciparum Spot. Note that the antigen is only one of the
2 four parasite species, but the French people have told me
3 that it has 85 percent cross reactivity with antibodies to
4 the other species.

5 Another serology technique, the ELISA, has been
6 used for a couple of years by the French group. That was
7 using a commercially available ELISA kit, but they have
8 stopped doing that due to reported problems with
9 sensitivity.

10 [Slide.]

11 We also talked with our colleagues in the United
12 Kingdom, and they have introduced since '98 some new
13 criteria. They are currently using again a combination of
14 history and serology as criteria for deferral.

15 All the donors having been in an endemic area are
16 deferred for six months--that is compared to the four months
17 of the French--after which they are screened for antibodies,
18 and they are accepted if the serology is negative.

19 Note that the travelers who live in a non-endemic
20 area do not need to be screened after 12 months, while the
21 residents--this definition here of residents--who are
22 arriving from endemic areas will need this screening beyond
23 one year, and they will be deferred until they are shown to
24 be seronegative. This new classification derives from a
25 case of transfusion malaria that was fatal, that the British

1 had I think in '97.

2 [Slide.]

3 As for the people who have a history of malaria,
4 they are deferred for six months, after which they need
5 antibody testing before they can donate.

6 The antibody testing in the United Kingdom is by
7 ELISA, using a commercially available kit, and when that
8 test is positive by ELISA, it is confirmed by IFA. Note
9 also that between the various countries of the United
10 Kingdom, the country of Scotland, for example, is not doing
11 serology. This is the only that differs from the three
12 others.

13 The people who have a positive antibody test are
14 deferred permanently unless they are tested again and the
15 test reverts to seronegative, and if a test cannot be
16 performed, the person is going to be considered as positive.

17 [Slide.]

18 In summary, the deferral criteria currently used
19 in the United States, based on history, are not always able
20 to prevent transfusion malaria.

21 If we search for other potential screening method,
22 we should note that currently available methods for
23 detecting malaria parasites or their products are not
24 sensitive enough to detect the very low parasite densities
25 that theoretically can result in infection.

1 However, we have no data on the actual densities
2 in the incriminated blood units. Thus, we don't know
3 whether their parasitemias would have been detected by the
4 various techniques now available.

5 This information could be obtained by
6 systematically using these laboratory screening tests in all
7 future cases of transfusion malaria.

8 [Slide.]

9 As an investigative tool, antibody testing has
10 proven more effective in identifying infected donors.

11 However, if it were to be used as a large-scale
12 screening process, it would result in the deferral of donors
13 who are no longer infected, but are still seropositive due
14 to past infections.

15 However, we do not know how many such unnecessary
16 exclusions would occur, since we do not have data on
17 seropositivity rates in current U.S. donors.

18 [Slide.]

19 In comparison, other countries such as France and
20 the United Kingdom use serology as a screening tool. But
21 this is more to increase their donor pools by looking for
22 acceptable donors among their travelers rather than to
23 increase safety.

24 Finally, should mass screening using serology be
25 adopted, some important technical issues must be addressed.

1 Immunofluorescence tests would not be practical, and they
2 would need to be replaced with automated approaches, such
3 as, for example, ELISA, and the antigens used should be
4 amenable to mass production, for example, recombinant
5 proteins or peptides.

6 Thank you.

7 DR. HOLLINGER: Thank you.

8 **Committee Discussion and Recommendations**

9 DR. HOLLINGER: Dr. Tabor.

10 DR. TABOR: I enjoyed that talk. I think you said
11 there were 91 transfusion cases in the United States in a
12 period of, what, 10 years?

13 DR. NGUYEN-DINH: Thirty-five years.

14 DR. TABOR: Thirty-five years. So, 91 cases in 35
15 years is about 3 per year if you really average it out.
16 That would be 1 in 4 million blood donors, which is a pretty
17 low incidence to justify instituting some kind of serologic
18 screening.

19 The reason I am saying this--and I am not saying
20 that is my position, I am just making an observation--is in
21 the recent MMWR report on three cases of transfusion-
22 transmitted malaria that no doubt you must have been
23 involved in evaluating, if I recall correctly, all three or
24 at least two of the three, but possibly all three were cases
25 that would have been excluded by current questioning, if the

1 questions had been answered honestly or correctly, and as a
2 result of the questions not being answered correctly,
3 perhaps--again, I don't remember the cases in great enough
4 detail--but perhaps none of the three would have been
5 subjected to serologic screening in France or the U.K.
6 because they would not have fallen into those categories.

7 So, I just make those observations, so they are
8 taken into consideration in any discussion.

9 DR. HOLLINGER: Along those same lines, Ed, two-
10 thirds of those would have been excluded if the guidelines
11 had been followed, so that even lowers it even further. I
12 think their comment was that it is really malariae that
13 probably, in terms of the deferral, is the one that would be
14 perhaps potentially more likely to slip through the
15 screening methods.

16 Somebody said I think like was it two-thirds of
17 the ones that would not have been excluded were probably
18 malariae, something like that?

19 DR. NGUYEN-DINH: That's correct.

20 DR. HOLLINGER: Dr. Nelson.

21 DR. NELSON: While I agree that the major risk
22 seems to be low, one is that it could have been
23 underestimated, and secondly, I think we have to realize
24 that this is a dynamic situation, and with things like
25 conflicts in malarious areas where substantial numbers of

1 people who are either military or advisers, or whatever,
2 that the situation could really change.

3 The other issue is that with the history, we are
4 excluding a large number of donors who maybe need not be
5 excluded, and therefore, I think that some sort of a
6 serologic testing, if it could be implemented and shown to
7 be effective, would have great benefit, I think.

8 DR. HOLLINGER: Many of the committee received a
9 letter from an individual named Swift, Steven Swift, the
10 last time, because of the action last time by the BPAC on
11 whether to defer donors who come into a port, for example,
12 from a malaria area and are there only in the daytime, and
13 the drift of this letter apparently was that a Norwegian
14 shipping, I guess, or cruise, cruise lines comes into
15 Labady, into Haiti, which is a malarious area, and is there
16 for only about six hours, and then leaves during the
17 daytime, and that there is, about twice a week, two boats
18 twice a week, about 2,000 each, and how much that
19 represents, people going into the area.

20 I did have a chance to talk to him on the phone.
21 It was a very thoughtful letter, I felt, and I think anybody
22 that write a three-page, thoughtful letter deserves a call
23 from somebody. So, I did talk to him and speak to him with
24 the fact, however, that he is not excluded from ever
25 donating, it's a one-year exclusion, and that after a year

1 he is eligible to donate blood again, at least I hope that
2 was a reasonable thing for him to understand.

3 Go ahead, Mark.

4 DR. MITCHELL: I had a question about the PCR
5 testing and whether that would detect the four different
6 strains.

7 DR. HOLLINGER: The question is whether the PCR
8 will differentiate the four strains or will detect the four
9 strains.

10 DR. NGUYEN-DINH: Yes, generally, PCR will be able
11 to differentiate between the four species. We are using at
12 CDC the primers described by SuNunu in England, and, in
13 fact, we are now using the PCR to improve on our microscopic
14 diagnosis when we see a parasite which, by microscopy, we
15 cannot quite identify the species, we use PCR to tell us
16 what the finding is.

17 Does that answer your question?

18 DR. MITCHELL: Yes, I appreciate that. My comment
19 is that I also agree that it would be very valuable in
20 subpopulations to have such a test available, such as the
21 military and others who may be exposed to this. Also, it is
22 clear that there needs to be more information, that there
23 has to be a test in order to get the kind of screening
24 information.

25 You know, we talked previously about passive

1 estimates versus active screening to try to get estimates of
2 the amount of exposure that people have, and I think that a
3 test would be very valuable in getting that information.

4 DR. HOLLINGER: Dr. Tabor.

5 DR. TABOR: I guess the point I was trying to make
6 was that with very low incidence at present, admittedly, it
7 could change dramatically, and dishonest or incorrect
8 answers to the current screening questions, applying the
9 test based on answers to the screening test, the way it is
10 done according to your talk in France and England, probably
11 would not effective.

12 If you had a good screening test, how would you
13 decide who it should be applied to? Presumably, you
14 wouldn't want to use it universally, or would you?

15 DR. HOLLINGER: Colonel Fitzpatrick.

16 DR. FITZPATRICK: Speaking from our viewpoint, we
17 would want to use it universally, and we have had instances
18 in the past where we have had to defer large groups of
19 donors because of deployments, and if we had a means of not
20 deferring large groups of donors, but testing them and
21 excluding them based on the result of the tests, we would be
22 expanding our donor pool, which would be very helpful at
23 this time.

24 DR. HOLLINGER: I am sorry. Would you rephrase it
25 again? I am not I understood what you were saying.

1 DR. FITZPATRICK: During Desert Storm, we deployed
2 a large number of people including a large number of
3 reservists. Some of those were malaria risk areas. The
4 incidence was very low, but they still had to be deferred
5 for periods of one to three years depending on how long they
6 were there.

7 We are currently considering and evaluating
8 expanding the area that we defer individuals in Korea for
9 because of increased incidence of malaria in Korea, and
10 those are short tours there, so that will impact the Army
11 more than the other services, just as CJD impacts the Air
12 Force more than the other services.

13 So, if we had a means of instead of just deferring
14 those donors because they were in that area, but testing
15 them and accepting them based on the results of the tests,
16 we would do that universally and expand our donor pool.

17 DR. HOLLINGER: And this would be after how long a
18 period of time? If they were in that area, at what point
19 would you be able to screen them and be assured that they
20 are not incubating or having infection?

21 DR. FITZPATRICK: If it is a PCR test, I assume we
22 would be able to screen them immediately upon their return.

23 DR. HOLLINGER: This is after they come back,
24 screen them, and therefore, if they are antibody-negative or
25 whatever the test is used, PCR, whatever, then, you might be

1 able to use them.

2 DR. FITZPATRICK: Right.

3 DR. HOLLINGER: I think an interesting aspect,
4 though, I found with this is that it is so different from
5 the PCRs and viruses, and so on, is that usually, if the PCR
6 is negative, infectivity is usually very limited, I mean by
7 and large, and here it is just quite the opposite in that
8 even though the PCR is positive--and that may just have to
9 do with sensitivity or other things--but anyway, that still
10 that blood can be infectious down to a fairly low level, so
11 it is really surprising.

12 Dr. Nelson.

13 DR. NELSON: I think that is the issue in that
14 malaria is spreading--Korea as an example--but people are
15 predicting wider spread, and what we are doing now with the
16 history is that it is a problem of the specificity of the
17 history, which is not good at all.

18 We are excluding a large number of people that may
19 not need to be, and it may come to a point that it really
20 impacts on the donor. If we had a more specific test that
21 was also--and I don't think that the history is all that
22 sensitive either--I mean people slip through.

23 I would be very interested in what the data are on
24 transfusion-transmitted malaria in France and the U.K.
25 because with the proximity to Africa and probably more

1 travelers to Africa where malaria is highly endemic,
2 probably the donor population has a higher infection rate,
3 but how many transfusion cases are there, and we have to
4 think about the fact that their geographic exclusion is less
5 rigorous or less than ours. Ours is for a year, theirs is
6 for four months or three months, but they implement also
7 serologic testing, and I think that makes sense.

8 I would like to see what their results are. Do
9 you have any data?

10 DR. NGUYEN-DINH: I have some information, but
11 this is by secondhand, and you really want to check it, and
12 we can check it for you if you ask us to do that, you know,
13 from the French and the United Kingdom.

14 The French tell me that they have had since they
15 started this system in 1983, one case of transfusion
16 malaria, but then immediately after that, they follow by
17 saying look, you know, our system is not ideal, et cetera,
18 et cetera.

19 The British, I know had that case I mentioned in
20 1997, where someone died, and that was a major problem
21 there.

22 Again, if you wish, we can definitely get very
23 precise epidemiological information from those two countries
24 and also the European Union, because they have guidelines
25 that are very interesting to look at, I think.

1 DR. NELSON: Is there data on how many donors are
2 deferred based on visiting an endemic area? My guess is it
3 is probably much higher than the U.S. Both of those data
4 would be interesting. I mean there were data there that
5 could be useful to the committee. I would suggest that we
6 try to get it.

7 DR. HOLLINGER: Thank you. Excellent.
8 Any other comments about this topic? Jay.

9 DR. EPSTEIN: Just a follow-up comment to Dr.
10 Tabor's with which I agree. The challenge of parasitic
11 diseases is really quite different than for viral diseases.
12 You can have infectivity with very low levels of
13 parasitemia, and for many of these conditions, including
14 Chagas and babesiosis, we have very, very low population
15 prevalence and incidence, and so the challenge of deciding
16 whether we should or should not screen the blood supply is
17 really twofold.

18 I mean first we would need tests of high
19 sensitivity and high specificity, and then even at that
20 point, we have a challenge in deciding whether it makes any
21 sense to screening when the yields would be so extremely
22 low.

23 In each of these cases, the concept of selective
24 testing has emerged. I think the concept that we heard
25 today, which was that there could be selective testing to

1 try to requalify otherwise excluded donors is novel, and the
2 concept of selective testing based on history to decide
3 whether to qualify a donor is not novel, but has been
4 daunting in every other context where it has been attempted.
5 I submit Chagas as the other example where we went that
6 route. These are tough questions.

7 DR. HOLLINGER: Corey.

8 MR. DUBIN: It seems pretty clear that we are
9 deferring people we shouldn't be deferring at a time when we
10 are questioning supply quite a bit. It seems pretty
11 straightforward on this one. I agree with the comment at
12 the end of the table, that the data would be interesting to
13 have, but I still think it is staring us in the face pretty
14 clearly that a lot of people are being deferred that
15 shouldn't be deferred if we were doing serologic testing.

16 DR. HOLLINGER: Dr. Kleinman.

17 DR. KLEINMAN: The testing back in philosophy that
18 was described in France and the U.K., I think, you know,
19 although you could argue that might have some problems in
20 that we don't know how sensitive the tests are, I think it
21 would be important to follow up on what Ken said, and if you
22 got the data from those countries and found that, in fact,
23 they have very low levels of transmission with that
24 strategy, it would give you a little bit more confidence
25 that a positive test, even though theoretically, there are

1 units that would be missed because of limited sensitivity,
2 you know, it still might give you confidence that that might
3 be a strategy that could be implemented to get over the
4 problem of unnecessarily deferring donors.

5 I agree with Jay that there are an incredible
6 number of problems in implementing that kind of novel
7 strategy, but, you know, I mean in a sense it is a reentry
8 strategy. You are going to reenter based on the donor
9 history rather than waiting for one year or three years.

10 I think the other thing we need to remember is
11 that although, you know, we are deferring that number of
12 people every year, and once they are deferred, when you are
13 deferred for a year or three years, you know, I don't know
14 what the figures are, but it is a low percentage of people
15 who want to donate blood so badly that they come back again.
16 I mean once you have told them they are out temporarily,
17 they will say fine, thanks, and they are out.

18 DR. HOLLINGER: Jay, just a question that Dr.
19 Fitzpatrick mentioned. What is the issues with being able,
20 in a unique situation, like the military, of allowing that
21 organization to do the thing that he has just suggested,
22 which is to reenter the donors if they come back, and they
23 are using an antibody test or something of that nature
24 instead of going through the whole year or more deferral, is
25 that a real problem otherwise?

1 DR. EPSTEIN: Well, I think, you know, Steve
2 Kleinman hit the nail on the head, we are talking about a
3 reentry strategy, and if we were to approve it for the
4 military, we would have no reason not to approve it also for
5 the civilian sector.

6 After all, many of the soldiers don't stay in
7 uniform, you know, they leave the service, and then they are
8 just civilians. So, the question would arise in either
9 context, and I don't see a reason that we wouldn't pursue
10 it. It just becomes a validation issue.

11 MR. DUBIN: Jay, isn't it also true that if the
12 Joint Chiefs decide to do something, FDA doesn't have a
13 whole lot of authority?

14 DR. EPSTEIN: The world is not that simple, Corey.
15 They are armed, and we are not, but --

16 MR. DUBIN: I don't mean it in an armed sense,
17 Jay. I don't mean it in that sense. Don't they make
18 medical decisions independent of the structure?

19 DR. EPSTEIN: They do, but there are political
20 forces that obligate the military voluntarily to comply with
21 the FDA standards.

22 MR. DUBIN: Right.

23 DR. EPSTEIN: It is from a purely legal point of
24 view, military compliance is voluntary.

25 MR. DUBIN: Right, that is what I meant.

1 DR. EPSTEIN: However, there is a long-standing
2 recognition of the need for voluntary compliance because the
3 military is faced with the challenge of needing to assure
4 that the soldier gets the same protections as the civilian,
5 and that has always been the goal wherever it is achievable.

6 There are situations where it is not achievable,
7 but it is always the goal, and that leads to a philosophy of
8 voluntary compliance. I think we end up with a harmonized
9 system in the end.

10 MR. DUBIN: Right. I mean it was impressive how
11 fast the Pentagon undertook lookback from our perspective.
12 You guys were way down the field pretty quick, and it is
13 obvious why. You have got soldiers in the field, you need
14 to know.

15 DR. FITZPATRICK: There have been cases where we
16 have implemented stricter guidelines than the FDA
17 voluntarily, but there have not been cases where we have not
18 done what the FDA asked.

19 MR. DUBIN: Right. I was going the other way,
20 Jay. I wasn't suggesting they would not comply. I was
21 going in the other direction.

22 DR. EPSTEIN: No, no, but the reentry scheme in
23 the absence of an FDA standard for it would be more
24 permissive, not less permissive than the FDA standard, so
25 that would not be an example where, at least historically,

1 the military would implement without FDA approval.

2 It is true also at a state level and a local
3 level. It is possible to be more stringent than the FDA
4 standard, and it is true of a lot of AABB standards, for
5 instance, which are universally followed. But to go the
6 other direction is perceived as highly problematic by the
7 military, and it is just not generally the practice.

8 MR. DUBIN: I understand. I wasn't suggesting
9 that.

10 DR. HOLLINGER: I think we will call a recess for
11 today. There is one topic tomorrow. It is on medical
12 device panel reclassification of HIV drug sensitivity.
13 Before you leave, I want the committee to stay for just a
14 few minutes, but the session will start tomorrow at 8:00
15 a.m.


16 [Whereupon, at 4:55 p.m., the proceedings were
17 recessed, to be resumed at 8:00 a.m., Friday, September 17,
18 1999.]

19

- - -

C E R T I F I C A T E

I, ALICE TOIGO, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.



ALICE TOIGO