

1 used about an hour ago. Essentially I am going to make  
2 independent projections of cystic acne prevalence and  
3 incidence based on these data for U.S. males and females 12 to  
4 44 years of age. I think Dr. Stern had a slightly different  
5 age group, perhaps 15 to 44; I do not think it is going to  
6 make a lot of difference. There may be minor discrepancies  
7 between my figures and Dr. Sterns' for what we think are  
8 comparable definitions but, remember, for NHANES data every  
9 sample person represents 10,000 to 20,000 people in the  
10 population, so one misplaced cell could be a difference of  
11 20,000.

12 (Transparency)

13 Let me say something about NHANES. It was a  
14 national probability survey of the noninstitutionalized  
15 coterminous United States population conducted by NCHS from  
16 1971 to 1975, roughly 15 to 20 years ago. Its objectives were  
17 an assessment of the health and nutritional status of the  
18 United States. It consisted of detailed interviews and  
19 laboratory and physical examinations and a whole bunch of  
20 health-related items.

21 I think the sample size is important. It was a  
22 sample of approximately 20,000 persons who were examined and  
23 approximately half the number -- if you look at people,  
24 roughly about 10,000 people 12 to 44 years of age, and in this  
25 age group about twice as many females as males.

1           For those of us who try to make careers out of  
2 analyzing and making sense out of NCHS data, it is  
3 frustrating, because it has a very complex sampling design.  
4 Obviously you cannot take a perfectly random sample by just  
5 putting everybody in the United States, 250 million people,  
6 their names in a hat and plucking them out and then going all  
7 over the place and examining them.

8           The survey is highly clustered. There were  
9 approximately 40 stands where there were examinations made and  
10 the stands were carefully chosen by cluster sampling, so when  
11 you project up, as with all cluster samples, you get a lot  
12 more variability, a lot more variance, and larger standard  
13 errors than you would if you had just a simple random sample  
14 of the United States. This makes analysis particularly  
15 difficult.

16                   (Transparency)

17           In my presentation, for my estimates, the sources I  
18 used were the NCHS published reports, which I think was series  
19 22, No. 232. I just used one item there. I used their own  
20 estimate, which Dr. Stern presented. Like Dr. Stern, I used  
21 the NCHS public-use tapes to go into the data and reanalyze  
22 them in accordance with some definitions give me by the FDA  
23 dermatologists and also in trying to reconstruct Dr. Stern's  
24 methods.

1 Bureau of the Census estimates for four age-sex groups, males  
2 and females, 12 to 17, 18 to 24, 25 to 34, and 35 to 44. Then  
3 I used various operational definitions of acne provided by the  
4 FDA.

5 (Transparency)

6 For each definition of acne I got age- and sex-  
7 specific prevalence rates for each of the age-sex groups, then  
8 used the population data from the Census to project them up to  
9 1990 levels, and this would give me 1990 estimates of total  
10 prevalence. Incidence is equal to prevalence, roughly,  
11 divided by average duration of the disease.

12 What I did is I took the low estimate suggested by  
13 Dr. Stern to get one set of incidence rates, and these would  
14 be higher and these would be the high. You assumed 8.2 years  
15 average duration, which would be the low incidence levels. I  
16 will present both numbers.

17 (Transparency)

18 The usual methods of getting standard errors from  
19 complex survey data are either replication methods or what is  
20 called linearization, and there is a set of software provided  
21 by a number of groups to get these. These are hard to use and  
22 sometimes give you misleading results.

23 What I did is I took what has been found to be a  
24 conservative estimate of the factor by which normal -- or I  
25 should say the variances of standard errors that one would

1 obtain by simple random sampling need to be multiplied to give  
2 approximately what would represent the standard error in a  
3 cluster survey. This is a conservative estimate.

4 For most variables this factor is much smaller than  
5 1.414, but I used 1.414 here. From these I obtained 95-  
6 percent confidence intervals for the estimates based on the  
7 standard errors.

8 (Transparency)

9 The items in the examination protocol that I used  
10 were the same as Dr. Stern's. There were five major items,  
11 one indicating presence of acne vulgaris and whether it was  
12 inactive or active. The second was severity: minimal,  
13 moderate, severe. The third item was presence or absence of  
14 acne cysts and whether they are active or quiescent, then  
15 presence or absence of either pit scars or cyst scars. So my  
16 analysis, like Dr. Stern's, is based on the five items on the  
17 detailed examination form for acne.

18 (Transparency)

19 This awful-looking slide gives you some idea of what  
20 the numbers look like. I used basically six FDA definitions  
21 and three of Dr. Stern's. The first two FDA, I think, are the  
22 most important. They are based on any severe active acne  
23 vulgaris with active cysts. This would be the most severe  
24 definition, the most restrictive. One has to have active  
25 cysts and one has to have severe active acne vulgaris

1 indicated.

2 This is a prevalence projection for males and  
3 females and here are the confidence intervals. To give you  
4 some idea of what kind of data we are playing around with,  
5 these are the number of cases in parentheses. Multiply them  
6 by roughly 20,000 and you come up with these.

7 The second FDA definition also includes -- to be  
8 included in it you have to have active cysts but it will also  
9 allow for moderate as well as severe acne vulgaris, so it  
10 includes both moderate or severe acne vulgaris, but one has to  
11 have active cysts. The raw numbers go up from 10 to 74 for  
12 females and from 18 to 83 for males. The less severe you get,  
13 the bigger the number is going to be.

14 I am going to concentrate on these two numbers.  
15 Notice the differences in sex ratios. Males to females, for  
16 definition one, the most severe is 2.78, almost 3:1 males to  
17 females. Then it goes down to less than 1.5:1 when you add  
18 the moderates.

19 I want to talk now about Dr. Stern's definitions.  
20 If I have it right, here is his first definition. To get into  
21 this, all you have to have is severe active acne vulgaris and  
22 one does not need cysts here. As he said very clearly in his  
23 presentation, he believed that anyone with severe active acne  
24 vulgaris is a candidate for treatment with Accutane, and he  
25 included that. That was his most restrictive definition, and

1 notice a male-to-female ration of 2:1. This is number of  
2 cases. They are slightly higher than the FDA one, because the  
3 FDA required active cysts.

4 Let me then talk about Dr. Stern's definition two.  
5 In this one he allowed for inclusion of moderate active acne  
6 vulgaris if there was evidence of cysting and scarring and he  
7 comes up with slightly higher figures and a lower male-female  
8 ratio. Notice the confidence intervals are all over the  
9 place, no matter which definition you choose.

10 The one thing that is pretty stable is the male-  
11 female sex ratio. As you get from severe to moderate, you go  
12 from a higher, generally above two, to a lower definition, and  
13 that is important. Statistically, you would expect that the  
14 sex ratio would be more stable than the actual estimates,  
15 because it is a ratio estimate, where the denominator is  
16 negatively correlated with the numerator, and the correlation  
17 between numerator and denominator would be high, so the result  
18 is that one gets more stable sex ratios than one gets actual  
19 numbers.

20 (Transparency)

21 These are the same numbers converted to incidence.  
22 Here I used the 3.3 average duration. The sex ratios are  
23 going to be the same, because all we did was take the  
24 prevalences and divide them by the average duration and we  
come up, for the FDA definition, with 33,000 for females,

1 91,000 for males, and they get higher as you go to the more  
2 moderate definitions.

3 This is the one which Dr. Stadel focused on. This  
4 is assuming a duration of 8.2 years. This would be the  
5 incidence and if you look at the FDA incidence, it would be  
6 13,000, with these confidence intervals, and, for males,  
7 36,000. Again, the sex ratio is the same as the prevalences.

8 For Dr. Stern's more severe definition, I came up  
9 with 59,000, roughly, in males, 30,000 in females, and a sex  
10 ratio of two. Again, they get bigger and the sex ratio goes  
11 down both for the FDA's and Dr. Stern's definitions as one  
12 gets more moderate.

13 Here was the NHANES report definition, which we  
14 agreed would not be used because there were some remarkable  
15 inconsistencies.

16 This was the PDS prescriptions. For 1989 there were  
17 56,000 new prescriptions for females, 72,000 for males, a sex  
18 ratio of 1.27. I think these are 1990 figures, but I did not  
19 make the change in the label. So you have a 1.3 sex ratio for  
20 PDS, which corresponds more closely with the less severe  
21 definitions of acne as you go down the board.

22 (Transparency)

23 Here is a synopsis of the cases. Here it is with  
24 3.3. Here it is with 8.2. This might illustrate more clearly  
25 the numbers. Again, let us focus on the sex ratios. With the

1 severe FDA and the severe Stern you get sex ratios of almost  
2 two. With the less severe FDA and the less severe Stern you  
3 get sex ratios of about one-and-a-half. The PDS is even  
4 smaller than that, a sex ratio of about 1.3, which, again,  
5 indicates possibly more of a case mix, tending to be higher in  
6 the more moderates.

7 (Transparency)

8 An argument one can make is that the prevalence from  
9 the NHANES is essentially an estimate of the number of cases,  
10 whereas the PDS is what is seen in the physicians' or  
11 dermatologists' offices. If, as with many other conditions,  
12 females are more likely to seek treatment than males, it could  
13 easily account for the lower sex ratio seen in the physicians'  
14 offices from the PDS data than what was seen in the actual  
15 prevalence rates.

16 What I did is try to look at the utilization data  
17 from NHANES to see if that explains it. If you just take, for  
18 example, the severe FDA, where there was a 2.78 male-to-female  
19 ratio, the male-to-female ratio for people seeking care would  
20 have to be .5 for this to be compatible with the 1.27 that was  
21 seen in the PDS data. In other words, one would have to have  
22 half as many males as females seeking care for acne of this  
23 type in order for the sex ratio of 1.27 to be explained.

24 What was found in the NHANES data themselves --  
again, this is for all skin conditions, not necessarily acne

1 -- the male-to-female sex ratio is .88, which corresponds more  
2 with these more moderate definitions. So the utilization data  
3 are more consistent with the more moderate acne definitions,  
4 even when taking into account what has been found in the  
5 utilization data.

6 (Transparency)

7 I did it also from the NHANES data for acne itself  
8 -- the other data were for all skin conditions on the  
9 dermatological exam. Again, I think it was shown in one of  
10 the previous slides (I forget which data source, it could have  
11 been the National Ambulatory Medical Care Survey) that most of  
12 the prescribing of Accutane was by dermatologists, and this is  
13 shown here.

14 This is from the NHANES data, the percentage of  
15 people, males and females, seeking care, those who have acne  
16 seeking care from a dermatologist and seeking care from  
17 another physician. It is higher for dermatologists than for  
18 other physicians. These are based on very small numbers,  
19 which is why I showed the other slide first. One can see that  
20 the male-to-female ratio of utilization -- there were more  
21 males than females seeking care from a dermatologist than is  
22 even indicated in the other skin conditions. This right-hand  
23 side is based on much scantier data and probably is even more  
24 unstable.

1 prevalence from NCHS data are all over the place but the sex  
2 ratios appear to be fairly stable and one can make some  
3 inferences there. In taking the sex ratios with the  
4 utilization, one gets a probable picture, at least from what  
5 I can see, that the PDS data might be more reflective of a mix  
6 more comparable to the moderate definitions, the FDA two and  
7 the Stern definition two.

8 Thank you.

9 DR. ANELLO: Dr. Robert O'Neill will now give a  
10 further discussion of the incidence and prevalence data and  
11 also the PDS data.

12 PRESENTATION BY ROBERT O'NEILL, PH.D.

13 DR. O'NEILL: I am going to say in maybe some other  
14 ways what Dr. Levy has already said, and then I will try to  
15 tie this in with the PDS data.

16 The motivation for what I am going to talk about has  
17 come about as a result of trying to make sense of the  
18 similarity or dissimilarity between the estimates coming from  
19 the NHANES survey and the estimates coming from the PDS survey  
20 in terms of new Accutane users, especially for 1990.

21 (Transparency)

22 As you have heard, what we are talking about here in  
23 trying to determine the similarities or the differences  
24 between these estimates and trying to make sense as to whether  
25 the concern that I understand the dermatologists had earlier,

1 that the estimates for the incidence of severe recalcitrant  
2 cystic acne were too low and not what they were seeing, trying  
3 to see whether that can be understood in terms of what the  
4 NHANES survey is telling us.

5 The NHANES survey is a very reputable U.S.-based  
6 national probability sample and it should be taken seriously  
7 in terms of its validity and its ability to be able to make  
8 accurate estimates of whatever it is trying to estimate, and  
9 I will get into that in a moment.

10 But as you have heard, what we are talking about  
11 here are the definitions of cystic acne can change. The  
12 original discussion of the past several meetings was based  
13 upon the reported definition in the published report. It is  
14 also very sensitive. The incidence of cystic acne is  
15 sensitive to the definition, as you have heard, the duration  
16 of the disease -- in other words, whether you take the average  
17 duration to be eight or 10 or five or four -- the sampling  
18 error or the confidence interval of those estimates, and,  
19 actually, this was not given in previous NHANES data, but it  
20 was today, although in the PDS data the confidence intervals  
21 were given to explain the sampling variation.

22 In fact, it wound up to be much more narrow this  
23 time around than they were the last time they reported,  
24 because there were some errors in the calculation of that.

1 survey is a national probability sample of the  
2 noninstitutionalized U.S. population. The PDS estimates are  
3 likely not exactly comparable. Why? Because they are coming  
4 from a reimbursement plan, coming from third-party  
5 reimbursement plans, likely a subset, however representative,  
6 but, nonetheless, a subset of the U.S. population.

7 (Transparency)

8 To refocus what Dr. Stern was talking about, the  
9 published NHANES survey for the prevalence of cystic acne was  
10 based upon these three codes. What was in the published  
11 survey was the prevalence of cystic acne, the prevalence of  
12 acne vulgaris, and the prevalence of acne scars. What you  
13 have seen, you only saw this, and this is what you have seen  
14 just up until today. That was the estimate that was taken out  
15 of the NHANES survey and that is essentially the definition  
16 that Dr. David Grame was using.

17 (Transparency)

18 To give you some sense of the magnitude of the  
19 population (and this is not drawn out to proportion), these  
20 are the estimates of the prevalence. This is a graphical  
21 display of the prevalence rates for ages 15 to 44 reported  
22 from the NHANES survey. This is taken straight out of the  
23 published literature.

24 The important thing to look at here is that the  
prevalence was 65/1000 population of acne vulgaris in women,

1 60/1000 were diagnosed -- a summary diagnosis up front -- with  
2 acne vulgaris; .6/1000 was the prevalence of cystic acne,  
3 which was the definition that we were talking about up until  
4 today; and then these are the other codes, which really do not  
5 come into play.

6 I want to point out that the prevalence, the  
7 relative prevalence, between males and females is something  
8 that is important to understand in the similarities and the  
9 differences between the NHANES data and the PDS data, because  
10 this is where all the action is. This, here, was where the  
11 original estimates were coming from for incidence. You get  
12 your incidence estimate by dividing this prevalence rate by  
13 the average duration of the disease.

14 You could see for this what might be considered  
15 rare, condition was that it was much more prevalent in females  
16 than in males, five to one. But as you dip into the acne  
17 vulgaris population, you can see it is almost a one-to-one  
18 ratio. In fact, where all the redefinitions have come from is  
19 essentially this population right here.

20 What we are talking about is a restatement and  
21 dipping into this population and it is important to recognize  
22 that.

23 (Transparency)

24 I am not going to spend much time on this, because  
25 this is a busy transparency, but it just reflects -- these are

1 FDA's definitions that we used, and these are Dr. Stern's  
2 definitions. It shows that essentially FDA's severe  
3 definition only counted an individual if he had active cysts.  
4 Dr. Stern also included other individuals who did not have  
5 active cysts but had some other conditions. I just point that  
6 out to show you some of the similarities and the differences  
7 between the definitions.

8 (Transparency)

9 This is the FDA definition that we will be focusing  
10 on, definition one and definition two, which essentially says  
11 that an individual, to be in the most severe category, in  
12 essentially the category which is most reflective of what the  
13 original intent of severe recalcitrant cystic acne might be,  
14 is this particular set of codes that would have had to be in  
15 that individual's physical exam.

16 (Transparency)

17 From that, these are the incidence rates that were  
18 derived from the new definitions. In essence, what we are  
19 talking about here is, for definition one (in the blue), this  
20 is severe cystic acne. You can see that the incidence rate  
21 has jumped somewhat for the females -- now we are talking  
22 incidence, not prevalence, it has already been divided by the  
23 average duration of the disease, which has been taken to be  
24 8.3 years -- and is 13,000 women in 1990. These are estimates  
25 projected by Dr. Levy to the 1990 U.S. population.

1           Again, looking at the male-female ratio, it is  
2 instructive to see that if you compare the relative incidence  
3 in the severe category, you are talking about 3:1 in favor of  
4 females. If you dip into the more moderate category of cystic  
5 acne, you are getting closer to the 1:1 ratio in terms of the  
6 incidence of the disease.

7           This essentially is what you want to buy into. If  
8 you buy into the severe definition category, you are talking  
9 about a 1990 incidence estimate of somewhere on the order of  
10 13,000 women, plus or minus probably around seven, and I will  
11 show you confidence intervals on this in a moment. If you buy  
12 into the moderate cystic acne definition, then you are up to  
13 another 100,000 women, plus or minus some confidence interval.  
14 The combined definition, FDA definition two, adds this plus  
15 this and comes up with a definition for severe plus moderate.

16           That gives you some feel for how the numbers are  
17 going to change, depending upon which definition you want to  
18 buy into. What I am saying here is this definition, part of  
19 this definition, and this definition are dipping back into the  
20 original prevalence population that was defined as acne  
21 vulgaris.

22           Again, just to give you some feel for the relative  
23 male-to-female ratio, because this helps you interpret who is  
24 coming into the PDS survey, because if you look at the PDS  
25 survey and try to interpret it in terms of who is coming in,

1 male- and female-wise, and getting new prescriptions, those  
2 male-female ratios are very close to the more moderate cystic  
3 acne conditions, consistent with the fact that who is getting  
4 treated, as represented by the PDS survey, are some more  
5 moderate cases, however you might want to define them.

6 (Transparency)

7 This is a graph -- not a very good one -- that Dr.  
8 Anderson presented earlier. This is the PDS survey from 1985  
9 to 1986 to 1987, 1988, 1989, and 1990. It is showing the  
10 estimates of new Accutane patients from the PDS data base, and  
11 it is showing that it is going down for the females and it is  
12 going down for the males up to the time of the intervention  
13 program, and then, even as a result of the intervention  
14 program for the females, it is continuing to go down. That is  
15 a significant drop and that is what they have claimed. This  
16 is the exact same graph, only it has a line fit through it.

17 These slopes are significant. This is a different  
18 change from here; it shows that subsequent to the intervention  
19 program there has been a downward trend in the estimated  
20 number of new female Accutane patients. Subsequent to that  
21 same point in time there has been not a similar drop in the  
22 estimates of the male new Accutane users.

23 Had this not occurred, we could not have concluded  
24 that this downward change was a result of the intervention  
25 program. It was a downward trend going on all the time and it

1 could have been some anomaly just of the sampling procedure  
2 that is used in the PDS data base, but the fact that this has  
3 leveled off from 1988 to 1990 is important and it suggests  
4 that something not due to chance has been responsible for the  
5 downward trend in female usage.

6 (Transparency)

7 What I am going to show you next are all the sources  
8 of data that we are trying to compare and contrast to see  
9 whether they hang together and whether they are telling us the  
10 same basic message pretty much in terms of is the PDS estimate  
11 of 1990 new female users consistent with what the NHANES data  
12 would be telling us if we start to choose certain definitions  
13 of the incidence of the disease (not the prevalence of the  
14 disease)?

15 (Transparency)

16 I will walk you through this. These are the 1990  
17 estimates from all the available data sources, with the male-  
18 female sex ratios in parentheses. This first estimate right  
19 here is the PDS 1990 estimate of new Accutane patients, which  
20 is in the ball park of around 55 to 56, with relatively narrow  
21 confidence intervals. This is for 1990, with the sex ratio of  
22 approximately 1.3.

23 From the reported NHANES published report, using the  
24 original definition -- not the restated definition -- you  
25 would come up with an estimate, even with these confidence

1 intervals, of no higher than approximately 18,000 women per  
2 year with cystic acne. I think we all agree that that  
3 estimate, as shown by Dr. Stern, is probably not to be relied  
4 on because of some inconsistencies in the coding.

5 So what do we do? We went to a reconfiguration of  
6 the definition and FDA came up with a severe cystic acne  
7 definition which we feel pretty confident in, and I think the  
8 dermatologists can speak to this, certainly, better than I  
9 can, but you have two things going on here. You have a  
10 measure of the variability of the estimate and you have a  
11 measure of whether you want to buy this as the definition or  
12 whether you want to buy the next definition, which is FDA's  
13 severe plus moderate.

14 If you go to severe plus moderate, you go up to  
15 here, to approximately 110,000. So somewhere between here and  
16 here is where you are in the ball park of the 55,000 estimate  
17 that the PDS has given you, consistent with the idea that you  
18 are pulling in, in the PDS new Accutane users, moderate cystic  
19 acne.

20 If you then buy Dr. Stern's definition, his is in  
21 the ball park, too. They are all relatively consistent. His  
22 definition raises the point estimate to somewhere around  
23 30,000 per year, with his confidence intervals in the ball  
24 park of what the PDS survey is. If you buy his more moderate  
25 and severe definition, you are up here.

1 This is intended to show you that by looking at both  
2 these estimates in terms of pulling in more moderate cases and  
3 looking at the sex ratios, which are essentially 1.3 -- this  
4 one up here is 1.4, here is 1.99, this, here, is 2.78 --  
5 obviously the closer you get to 1.2 or 1.3 you are pulling in  
6 more moderate cases, and that is the general feeling that was  
7 behind is the drug being overly used in more moderate cases.  
8 That is the sense of where these definitions are hanging  
9 together.

10 (Transparency)

11 I do not want to make a big thing out of this other  
12 than to say that if there was not this range of variability  
13 and we were trying to make more out of the PDS data base,  
14 there are some criticisms of the PDS data base that could be  
15 made, saying that, well, the variances are not totally  
16 accurate and they assume certain parts of the projections are  
17 constant and do not have any sampling error involved in them.

18 The bottom line to that is that the confidence  
19 limits that you are seeing that were reported to us on PDS  
20 probably should add another plus or minus -- another 4000 to  
21 5000 should be added to them to compensate for that currently  
22 unaccounted-for source of variability. I do not think it is  
23 a big-time issue in terms of interpreting what the data are  
24 telling us in the previous slide.

25 Thank you.

1 DR. ANELLO: You have heard about the NHANES data  
2 and the PDS data. I would now like to call on Dr. Richard  
3 Platt from the Harvard Community Health Plan to give you an  
4 independent assessment of his program on the impact of the  
5 pregnancy prevention program.

6 PRESENTATION BY RICHARD PLATT, M.D.

7 DR. PLATT: Thank you for allowing me to share some  
8 thoughts with you about the way physicians at the Harvard  
9 Community Health Plan prescribe isotretinoin.

10 (Slide)

11 My colleagues in this endeavor are Dr. Susan  
12 Heckbert, who is a physician and epidemiologist, and Dr.  
13 Gauden Mishali, who is a physician-lawyer, a full-time  
14 employee of the Harvard Community Health Plan, whose full-time  
15 activities are involved in quality assurance there. My home,  
16 actually, is the Harvard Medical School; I do not have a  
17 formal affiliation with the Harvard Community Health Plan  
18 other than to conduct research involving their use of drugs.

19 (Slide)

20 Just a word about the organization. It is a staff  
21 model HMO. In the time period that we are covering there were  
22 approximately 230,000 members, of whom 81,000 were women who  
23 were aged 15 to 44 years. The organization is a good one for  
24 doing epidemiologic analyses because it has a fully automated  
25 ambulatory medical record. This record includes coded

1 diagnoses and full-text providers' notes. It also includes  
2 laboratory test performance and test results, and also a coded  
3 indication of intent to prescribe by the prescriber.

4 In addition, the organization has an automated  
5 pharmacy system. The data I am going to show you, though,  
6 come from the prescribers' notes of prescribing.

7 (Slide)

8 We identified all the women who were aged 15 to 44  
9 years during the period of interest who had a code for  
10 isotretinoin prescribed between March 1, 1987, and February  
11 28, 1990. We reviewed the full-text records of the ambulatory  
12 charts to recover the dates on which isotretinoin was  
13 prescribed, the prescriber, a history of tubal ligation or  
14 hysterectomy, and to determine whether or not pregnancy  
15 testing had been performed during the 14 days before the  
16 prescription.

17 (Slide)

18 There are several caveats I want to review with you.  
19 The most important is the data set we are talking about  
20 represents about a tenth of a percent of the U.S. population,  
21 meaning that the number of prescribers is quite limited. In  
22 addition, this HMO has an active quality-assurance program,  
23 and it is our expectation that both base-line performance and  
24 subsequent changes may be impacted by this fact.

1 consent form for isotretinoin prescribing starting in 1985.

2 We have limited information on contraceptive  
3 practices for these women. Finally, we cannot attribute the  
4 changes I am going to review with you to specific  
5 interventions, because we have no specific data on information  
6 that the prescribers received. Changes which occurred late in  
7 this interval could possibly have resulted from early events.  
8 And there is the general boilerplate warning about  
9 observational data: We cannot prove a cause-and-effect  
10 relationship.

11 (Slide)

12 Overall, we identified 216 courses that were  
13 identified in 207 women. The aggregate prescribing rate was  
14 .9/1000 women years. Ninety-nine percent of the courses were  
15 initiated by 14 dermatologists, of whom eight did the bulk of  
16 the prescribing and prescribed at least 10 courses. Ten of  
17 the courses followed a hysterectomy or a tubal ligation, so I  
18 will exclude them from most of the rest of my comments.  
19 Pregnancy testing was performed overall before 56 percent of  
20 courses and 80 percent of the pregnancy tests which were  
21 performed were serum HCG tests.

22 (Slide)

23 This shows, in blocks of three months, the number of  
24 new courses initiated as a function of time. Marked here are  
25 the dates of the 1988 advisory committee meeting and the date

1 that the new patient packaging was implemented. I take Dr.  
2 Armstrong's comment seriously about when the right break  
3 should be, but by the eyeball test it looks as though there  
4 was an immediate response of the prescribers to the  
5 information that surrounded the initial advisory committee  
6 meeting.

7 For the rest of this discussion, though, I will  
8 break the experience into three time periods: before the  
9 first advisory committee meeting; the period between the  
10 advisory committee meeting and the time the new packaging was  
11 introduced; and the remaining period.

12 (Slide)

13 I have aggregated those periods here and show, on  
14 the ordinate, the number of courses per month that were  
15 initiated. The total height of the bar is all the courses,  
16 and shown in a deeper color are the courses that were preceded  
17 by pregnancy testing. What I take from this is that the  
18 general shape of these changes is approximately like those  
19 that have been discussed earlier today.

20 The proportion of courses preceded by pregnancy  
21 testing really did not change very much when looked at in this  
22 crude fashion. It is 51 percent during the first time period,  
23 63 percent during the second, and 58 percent during the  
24 third. It turns out that change in the overall number of  
25 courses and in the percentage for which there was testing is

1 masked by considerable variation in performance of individual  
2 prescribers.

3 (Slide)

4 These are data taken from the base-line period, that  
5 is, the period before the first FDA advisory committee  
6 meeting, and it shows you the prescribing the pretreatment  
7 pregnancy testing practices of the eight physicians who  
8 prescribed at least 10 courses during the entire three-year  
9 period.

10 They broke into two fairly distinct groups. There  
11 were four physicians who tested before at least 40 percent of  
12 courses. In the aggregate they tested for 50 of the 70  
13 courses that they prescribed. The other four prescribers  
14 tested almost never, two times out of 32 courses initiated.  
15 We call these the frequent testers -- and we call these the  
16 infrequent testers.

17 (Slide)

18 This shows you what happened over time to these two  
19 groups. Shown here are the same data I showed you two slides  
20 ago, total number of courses per month with the proportion  
21 that had pretreatment pregnancy testing, falling some over  
22 time, but with no change in the overall proportion tested.

23 The group who were initially called frequent testers  
24 reduced their level of prescribing by over 90 percent. We  
25 really cannot talk meaningfully about what happened to their

1 overall testing performance (they were initially testing at  
2 about 70 percent) and, finally, two out of three courses were  
3 preceded by testing.

4           The group which initially tested 6 percent of the  
5 time, two out of 32 courses, continued to prescribe at  
6 approximately the same rate. During the third period their  
7 prescribing, number of courses per month, was slightly higher  
8 than the number of courses at the beginning, but it is not a  
9 big difference.

10           However, the proportion of courses preceded by  
11 testing increased with each of those time periods, from 6  
12 percent to 30 percent to 56 percent. The fact that there was  
13 50-percent testing during this period was a consequence of the  
14 fact that these people did most of the testing.

15           The fact that approximately 50 percent were tested  
16 during this period is a consequence of the fact that these  
17 people, who now accounted for essentially all of the  
18 prescribing, had increased their prescribing -- not quite to  
19 the level that this group had initially, but considerably more  
20 than they had originally.

21           On the other hand, essentially all of the drop in  
22 total number of courses came from this group that had severely  
23 curtailed their prescribing.

24           (Slide)

25           The conclusion, then, is that the number of courses

1 without pretreatment pregnancy testing fell by 54 percent,  
2 from 3.6 to 1.7 courses per month. Overall, prescribing  
3 decreased from 1.1 to 0.6 courses per thousand women years,  
4 and that is a highly significant difference. Overall,  
5 pretreatment pregnancy testing did not change significantly.  
6 It changed from 51 percent to 58 percent. But these overall  
7 changes mask great inter-physician variation.

8 (Slide)

9 There were two distinct patterns of change in  
10 physician behavior. Those who initially performed HCG testing  
11 frequently reduced their prescribing by more than 90 percent.  
12 Those who initially performed HCG testing infrequently did not  
13 reduce their prescribing, but increased their testing from 6  
14 to 56 percent.

15 From the epidemiologist's point of view, I find this  
16 an interesting conclusion. It appears that the interventions  
17 affected every prescriber for whom we have data. Essentially  
18 everyone modified practice. But there are two distinct  
19 responses to practice. One was to reduce prescribing. The  
20 other was to maintain level prescribing but to increase  
21 frequency of testing.

22 Thank you.

23 CONCLUDING PRESENTATION BY CHARLES ANELLO, PH.D.

24 DR. ANELLO: I would like to go back to the six  
25 questions I raised at the beginning of this presentation and

1 try to answer them. Remember, the goal of these questions was  
2 to assess the impact of the pregnancy prevention program on  
3 use, on pregnancy exposure, and on birth defects.

4 (Transparency)

5 The first question is: How many new Accutane  
6 prescriptions were issued in 1990 to women 12 to 44 years of  
7 age?

8 (Transparency)

9 I think we can look at the PDS data as providing an  
10 estimate of that number, so we are talking about close to  
11 57,000 women, with small confidence intervals.

12 (Transparency)

13 How does the level of prescribing relate to what is  
14 expected from the NHANES survey?

15 (Transparency)

16 We had Drs. Levy and O'Neill explain to you how the  
17 various numbers were derived. We have the 8000, which was  
18 twice the number originally explained to be what the incidence  
19 rate should be. Then, depending on your decision about which  
20 of these various definitions of severe cystic acne to use, you  
21 get different numbers. The important thing, though, is that  
22 the sex ratio plays a vital role in the ability to interpret  
23 the NHANES data versus the PDS data. Keep that in mind.

24 (Transparency)

25 What is the evidence of a change in new

1 prescriptions in Accutane during the period 1988 to 1990?

2           Although you could look at different evidence, we  
3 have the slopes of the regression line for the three years.  
4 I think it was pointed out by Dr. O'Neill that that change  
5 was, in fact, statistically significant. There was a  
6 decline, a little over 4000 per year, given the PDS as the  
7 data base to look at.

8           (Transparency)

9           Are only women with severe acne being treated?

10           I rely on two sources of information here. I think  
11 that a comparison of the estimates of the incidence of severe  
12 acne from NHANES and the Accutane use data from PDS along with  
13 information about sex ratios suggests that some women with  
14 moderate cystic acne are being treated. Also, from the drug  
15 epidemiology study, about 40 percent of the women prescribed  
16 Accutane in that study did not have any cysts.

17           (Transparency)

18           The next question on the list was: Given the level  
19 of prescribing, what is the estimated number of pregnancy  
20 exposures each year?

21           There, Dr. Stadel explained how estimates, given an  
22 approximate 57,000 women exposures, not as part of the SEU  
23 study. Resulting calculations were somewhere around one in  
24 3000 women per year is being exposed.

25           (Transparency)

1           The last question: What is the impact on reported  
2 exposures and birth defects?

3           (Transparency)

4           This is just a summary of what the firm presented in  
5 their package to us. These data are updated a little bit in  
6 the presentation earlier this morning (the numbers on  
7 exposures were a little larger for the last two values). You  
8 can see there has been no apparent change in the number of  
9 reported exposures, and we are a little uncertain as to  
10 whether or not there has been any change in the number of  
11 reported defects.

12           That concludes the portion of the presentation by  
13 the Office of Epidemiology and Biostatistics.

14           FINAL COMMENTS BY CARL PECK, M.D.

15           DR. PECK: Given the proximity to lunch time, you  
16 will be pleased to learn that I have pocketed my 30-minute  
17 version of final comments in favor of a 30-second version.

18           I have three brief points to make. First of all, I  
19 would like to recognize the effort that Dr. Anello and his  
20 staff have made to bring to the attention of the committee  
21 data from all relevant data sources and to present their  
22 interpretation in a balanced fashion.

23           I would like to reiterate Dr. Lumpkin's sentiments  
24 that we are very receptive to the advice of the committee on  
25 the questions posed.

1 We are looking forward to your discussion this  
2 afternoon, which will be preceded by the comments that we will  
3 receive from a variety of special interest groups, whose  
4 comments we also look forward to.

5 Thank you.

6 QUESTIONS FROM THE COMMITTEE

7 DR. DAVIDSON: Given the fact that we have gained  
8 some time in the morning's agenda and there may be some  
9 advantage in the committee being given the opportunity to ask  
10 questions while we are proximate to these two discussions, if  
11 there is no objection, I would invite the committee, if they  
12 have points of information or other questions of Roche or the  
13 FDA, to take advantage of this time to do it.

14 DR. FLEISS: May I ask for one of the transparencies  
15 to be put back up, the one which compares the several  
16 estimates of incidence based on the many definitions we have  
17 gotten?

18 (Transparency)

19 Zero is the lower limit for the confidence interval.  
20 That means there is no incidence. There has got to be a  
21 better way to construct confidence intervals than one which  
22 will give you an impossible value down at the lower end.

23 DR. LEVY: I am sorry, I did not hear your question.

24 DR. FLEISS: I am questioning the validity of the  
25 method used to construct the confidence interval for a rate

1 when the lower limit ends up being zero. Or, for all I know,  
2 it was originally negative, and you rounded it off to zero.  
3 There have got to be better ways to do it. That is my  
4 comment.

5 DR. LEVY: Yes, I agree, there are better ways to do  
6 it. It was a huge standard error and I just wanted to  
7 indicate a cutoff at zero. It was a rate and a standard  
8 error, a rate and another -- actually, a rate --

9 DR. FLEISS: While we are on that, I can ask you,  
10 and perhaps some others, Bob O'Neill pointed out that there  
11 are some elements of random variability that have not been  
12 incorporated into any of these analyses. On top of that, have  
13 any attempts been made to incorporate the imprecision of the  
14 mean duration? I mean, 8.3 sounds so precise, but I think we  
15 all realize that it is not really 8.3.

16 DR. STERN: May I speak to that? Since I think both  
17 the lower and the upper estimates of the duration of acne came  
18 from me, let me tell you their source and, as in my  
19 discussions with the FDA, our lack of confidence about them,  
20 and I think we all share that.

21 In my review of the literature I could find only one  
22 paper, a British study, that followed people longitudinally  
23 with acne, and basically had a one-liner that said of men with  
24 moderate and severe acne, as defined in that study, the  
25 proportion who were better in so many months was X. Then they

1 said in women who had these same degrees of acne followed  
2 longitudinally the proportion who were better was Y.

3 I then used life-table techniques to predict for men  
4 and women what the average duration of acne is from the study,  
5 and I hope the FDA would agree that in presenting those I said  
6 these are essentially an envelope of estimates, they are the  
7 best that I could find in the literature, they are not  
8 necessarily real, and I would like, with permission, to make  
9 two additional points.

10 First of all, this study suggested that duration of  
11 acne in men and women is different, and, in fact, I think  
12 clinical practice suggests that the duration of severe acne,  
13 however you define it, is longer in men than in women.  
14 Therefore, in going from prevalence to incidence, one should  
15 use the sex-specific duration of acne. I do not know what the  
16 actual average durations of acne are. I think the  
17 overwhelming opinion among experts would be that it is longer  
18 for men than for women. Therefore, you are dividing by a  
19 higher number, whatever that is, which would change the  
20 incidence sex ratios.

21 Further, as I tried to make the point in the next-  
22 to-last slide, whether you choose this 3.3 or 8.2 number, or  
23 some number in-between or outside that interval, is not only  
24 on sex, as I have just said, but is also dependent on whether  
25 you are looking at severe, as narrowly defined, or severe as

1 more broadly defined.

2           As I tried to show in that graph, for example, for  
3 that individual, be it male or female, if you are looking at  
4 that individual and only counting him for Stern severe, he  
5 would only contribute three years of duration out of his 12  
6 years with acne. But if you were counting him in my moderate  
7 plus severe, that particular individual would have an average  
8 duration of eight years. So which duration number you use has  
9 to be both sex-specific and definition-of-severity-specific.

10           That, I think, adds one further element into looking  
11 at the sex ratios more critically. I would also say that I  
12 extremely enjoyed Dr. Levy's presentation, because this is the  
13 first time I had ever used that data set, and I think he and  
14 I were in basically complete agreement, and it gave me great  
15 reassurance that although we may differ about which definition  
16 to use and some fine points, I think we have strong agreement  
17 about what, at least, the prevalences are.

18           DR. LEVY: Getting back to Dr. Fleiss' point about  
19 the confidence intervals for the incidence not taking into  
20 account duration, they are prevalence data, so one does not  
21 know what the variability in duration is. One can assume a  
22 Poisson and get that the duration varies and the mean is equal  
23 to the variance, or something like that. One would even get  
24 bigger confidence intervals than we see here.

I wanted to make the point that they are big.

1 DR. FLEISS: They are really even wider, given all  
2 the other sources of imprecision that exist.

3 DR. LEVY: Yes, they are probably wider. That is  
4 true of a lot of data.

5 (Laughter)

6 DR. SHUPACK: As a practicing dermatologist, there  
7 is something in this presentation here that leaves me wanting,  
8 in a sense. I appreciate the efforts to quantitate what is  
9 quantifiable, but in the final analysis there are many  
10 factors which have not been looked at here, including, for  
11 one, the emotional impact of the disease, which you really  
12 cannot quantify in terms of the number of cysts or the number  
13 of pustules or what-have-you, which ends up going into the  
14 decision-making process.

15 I am glad to see duration is finally being paid  
16 attention to, but there are aspects to duration which are also  
17 quantifiable, and which, again, go into the decision-making  
18 progress on a day-to-day basis. For example, how much money  
19 has that patient already spent on the treatment of acne during  
20 the preceding eight to 10 years, how many drugs has he taken,  
21 how many side effects has he already had from the other drugs  
22 that he has taken?

23 So I think that, from a practicing dermatologist's  
24 point of view, I appreciate the efforts to quantitate what is  
25 quantifiable, but the bottom line is, whatever definition we

1 pick here, whether it is the narrow definition of severe or  
2 the broad definition, it really does not have much of an  
3 application to the day-to-day practice of dermatology.

4 DR. MINUS: Dr. Dai, I would like to ask you a  
5 question. On the 91 patients you said became pregnant in  
6 1990, do you have any epidemiology material on those 91 in  
7 terms of education -- we are talking about surveys on people  
8 who had an average education of 14 years, which is quite a lot  
9 -- but I am asking the question from the standpoint of two  
10 areas.

11 Number one, those 91, did they really have the kind  
12 of education that would have them understand the complications  
13 of the drug?

14 DR. DAI: Yes, I just pulled the data out. Among  
15 those people, I looked at those people who started therapy  
16 after January 1, 1990, the most recent cases. I did have the  
17 educational level here. Unfortunately, through the  
18 spontaneous reporting system, a lot of them we do not know the  
19 educational level for. However, among those patients we do  
20 know it adds up to about 35 patients and 17 of them had a  
21 college education, 16 of them were in high school -- that  
22 covers most of them, over 90 percent of the patients. I guess  
23 that is what you are asking about.

24 DR. MINUS: The second question is, out of those 91,  
25 the physician who prescribed the medication, do you have the

1 breakdown on the specialty?

2 DR. DAI: Yes. Most of them were prescribed by  
3 dermatologists. In general, 85 to 90 percent were prescribed  
4 by dermatologists.

5 DR. DAVIDSON: Are there any other questions?

6 DR. ROY: I would like some clarification. I think  
7 I heard from Roche that of the 400 individuals who were  
8 identified as being Accutane prescription fillers, that two-  
9 thirds of them were in the Slone survey -- or 60 percent of  
10 them were in the Slone survey, 40 percent were not. Then Dr.  
11 Stadel made estimates that the Slone survey only identified a  
12 third of the users. Could we get some clarification, Bruce,  
13 on where your numbers came from?

14 DR. STADEL: My number is based on taking the  
15 numerator is the Slone experience of various categories, like  
16 new women 12 to 44, and the denominator is PDS beta data for  
17 12 to 44.

18 Now, what they have done is a different thing. That  
19 is based upon all the numbers, there are 50-some-odd thousand  
20 prescriptions in the denominator. What they have done is gone  
21 to get 400 people and look at the intersect. How many of  
22 those 400 people picked up from this source were in theirs?

23 All I can say is what I did. Sixty percent is not  
24 reflected in the national data. It comes out about the order  
25 of magnitude that I said. You get different figures depending

1 on how you define it. It makes some difference, but not as  
2 large a one as you might think, to the estimate of pregnancy  
3 exposures. I came up with an estimate of 1000 to 3000, a  
4 third of the women. If it is half of the women, you get 750  
5 to 2250. On the other hand, my failure rates are probably  
6 lower than the real ones from the recent Guttmacher  
7 publication.

8 I kind of feel you can pull this back and forth more  
9 or less indefinitely, depending on how much you want to do  
10 it. I did the best we could in trying to use a full national  
11 set of data. We did not have an opportunity to see these  
12 data; they refer to 400 people.

13 DR. MITCHELL: Bruce is correct that we did not make  
14 them available, because they were only recently available to  
15 us, the data from the 400 sample. That enrollment rate of 60  
16 percent, in our view, is actually quite compatible with the  
17 estimates, if you use the PDS denominator of about 57,000  
18 pregnancies, and if you recognize that about 35,000 women  
19 enrolled in the survey in 1990.

20 What we do not know is what proportion of those  
21 women who enrolled in 1990 who had a previous course of  
22 Accutane had it within the 12 months preceding, which is a  
23 cutoff of the PDS definition. But, roughly, it is a 50 to 60  
24 percent enrollment rate based on the absolute number of  
25 enrollees in 1990 against the PDS denominator.

1 DR. STADEL: I guess if I take my third and your 60  
2 percent and settle at 50, it does not change the dimension --  
3 the order of magnitude for my sort of pregnancy exposure among  
4 people not in this programmatic thing is not greatly changed.  
5 And other things could fluctuate equally.

6 DR. MITCHELL: I would disagree with you, and maybe  
7 we can talk about that later, in terms of the assumptions  
8 about the representativeness, but that is a legitimate area  
9 for disagreement.

10 DR. STADEL: I guess one of the things I tried to  
11 emphasize is that I am trying to make an order-of-magnitude  
12 estimate for what I think might be occurring in an area that  
13 we simply do not know very much about. We know a lot about  
14 the women who were enrolled in the Slone survey and, like I  
15 said, their contraceptive efficacy rate is impressive.

16 What we can agree on is there is a large number, a  
17 very large number, of women not in the Slone survey, who are  
18 not volunteers, where one simply cannot assume that their  
19 outcome is going to be the same as the people on the other  
20 end. I think, from a national perspective, that it is  
21 important to emphasize that.

22 DR. ROY: But, Bruce, is there any way we can make  
23 an estimate of whether those people not in the Slone survey  
24 are having an experience so outside what we know as to make an  
25 impact? I mean, are we in an area where a lot may be going on

1 and we just do not have the tools to assess it?

2 DR. STADEL: To err on the side of caution, I would  
3 have to conclude there is a distinct possibility that an  
4 important problem could exist that we do not have a hold on.  
5 That is, if there were a 1000-to-3000 range of exposed  
6 pregnancies and 44 reported, that would be a reporting rate of  
7 400. I do not find that surprising for pregnancy exposure  
8 itself.

9 The big "if" then becomes how many unreported birth  
10 defects might be out there, and I guess my answer is that is  
11 anybody's guess, as far as I can tell. I do not have any way  
12 to estimate it. I could talk on about what underreporting  
13 experience has been for other diseases -- that has been done  
14 before and it led to more argument than to agreement. So all  
15 I will say is, to err on the side of caution, I would have to  
16 say there is a good possibility that a meaningful or  
17 substantial number of birth defects could be in existence that  
18 we do not know about. That is the best I can do. I do not  
19 have any other tools.

20 DR. MCGUIRE: I wanted to ask Dr. Platt if he could  
21 identify any features of quality assurance in the Harvard  
22 program that contributed to the changes in the prescribing  
23 practices and, also, testing practices?

24 DR. PLATT: Against a background of fairly high  
25 current events literacy by the physicians and a program that

1 had been put in place around 1985, we were unable to identify  
2 any specific activities that were implemented during the three  
3 years that I am describing.

4           Since then there has been a very aggressive program  
5 to try and deal with failure to do pretreatment pregnancy  
6 testing. I think the short answer is I am unaware of specific  
7 activities that would have contributed to these changes,  
8 activities that were solely self-contained within the  
9 organization.

10           DR. MCGUIRE: I was referring specifically to  
11 anything that fell under the rubric of quality assurance.

12           DR. PLATT: I believe that there was no active  
13 quality-assurance topic that had been identified at that time,  
14 but I am not absolutely certain of that.

15           DR. SCHLESSELMAN: Dr. Platt, I have two questions  
16 of you, please. The first is, with regard to your analysis of  
17 the rates of pregnancy testing and whether urinalysis was  
18 restricted to women who were indeed at risk of pregnancy, that  
19 is, you excluded women who were sterilized or whose partners  
20 were sterilized.

21           DR. PLATT: We excluded women who, in fact, had had  
22 hysterectomy or tubal ligation. What we did not do was  
23 exclude women who were using contraception. We thought that  
24 we could not define contraception users carefully enough. The  
25 answer is, yes, that analysis only dealt with women who were

1 presumably fertile.

2 DR. SCHLESSELMAN: The second question has to do  
3 with your noting differences in rates of pregnancy testing  
4 among the physicians in the HMO. I would like to ask whether  
5 you inquired about various strategies. One can administer a  
6 pregnancy test. The other way to deal with the possibility of  
7 pregnancy prior to taking the drug is simply to insist that  
8 the drug be taken after the start of menses. Do you have any  
9 way of disentangling whether there might be a strategy used  
10 among those who were not actually testing for pregnancy which  
11 effectively assures that pregnancy is not in effect at the  
12 time the drug is taken?

13 DR. PLATT: I will be able to give you a patient-by-  
14 patient answer later today, if you would like, but the short  
15 answer is that it does not appear to have been a common  
16 strategy, that is, telling the patient to wait. Among  
17 physicians who made some notation in the record about advising  
18 the patient of the potential risks, they were generally  
19 comments that the patient was aware of the need to avoid  
20 pregnancy. I can check during the break on the other.

21 DR. ROY: I was fascinated that the four  
22 dermatologists who comprise the group that did the pregnancy  
23 testing near the end of your time period were not even  
24 prescribing the product. Now, are they not seeing the  
25 patients to whom the product is being prescribed? Is that the

1 explanation?

2 DR. PLATT: There has been no change in the overall  
3 practice level of any of these eight dermatologists over the  
4 period of time. These dermatologists tend to divide their  
5 time among several of the practice sites. I think, for our  
6 purposes, the level of practice has been constant over this  
7 period of time.

8 One other point, that I did not make in my  
9 discussion, is that dividing the group into two can, in some  
10 ways, magnify an apparent difference, but if you do this kind  
11 of analysis in logistic regression that accounts for each  
12 physician separately, you still see the same kind of temporal  
13 effect.

14 DR. DAVIDSON: Could you provide some indication as  
15 to the stability of this patient population over this study  
16 period?

17 DR. PLATT: The figures that we used represented the  
18 population approximately at the middle of the interval. Over  
19 the entire period the population grew by about 20 percent, so  
20 it was about 10 to 12 percent lower during the first year, and  
21 about 10 to 12 percent higher during the last year. The  
22 implication of that would be that -- it would have no  
23 implications for proportion with pretreatment pregnancy  
24 testing. It would slightly magnify the difference in the  
25 number of courses per exposed woman year.

1 DR. SCHLESSELMAN: The educational effort that you  
2 had, was it confined in your area with your physicians to only  
3 the Hoffmann-LaRoche effort?

4 DR. PLATT: I believe that there was no additional  
5 activity by the administration of the HMO to educate their  
6 physicians, but I am not speaking from great assurance here.  
7 My understanding is that there was no particular activity  
8 undertaken. All of these physicians, I believe all of them  
9 have Harvard Medical School faculty appointments --

10 DR. STERN: Only a minority of them do.

11 DR. PLATT: Okay, thanks a lot. I will not finish  
12 that sentence, then.

13 A group of knowledgeable doctors.

14 (Laughter)

15 DR. NIEBYL: Back to the four physicians who at  
16 first were frequent testers and then decreased their  
17 prescribing, could you tell that they were screening the same  
18 patient population and checking that certain patients were not  
19 good contraceptors and, therefore, prescribing less? What do  
20 you think was accounting for the decrease in prescribing?

21 DR. PLATT: I do not have information back from  
22 those physicians on their reasons for reducing prescribing.

23 DR. NIEBYL: But your basic statement was that the  
24 population was not changing a whole lot.

25 DR. PLATT: That is correct.

1 DR. NIEBYL: Well, it must be that they were somehow  
2 screening out patients because of some factor --

3 DR. PLATT: That is right. The simplest explanation  
4 is that they prescribed less frequently to these women. One  
5 of the things that we did not do, for reasons of initially  
6 trying to limit the impact on our data request to the Plan,  
7 was to look at prescribing to people who are not in this  
8 group, to men, to older women -- I do not know if there are  
9 many of them who have acne. For a number of reasons, our  
10 abilities to do that have improved some, and if that were an  
11 important feature, we could look at that.

12 DR. DAVIDSON: My reason for asking the question  
13 about the stability of the group was if the four who reduced  
14 their prescribing were in a part of the population that was  
15 relatively stable over that period of time, they may have  
16 treated the base population around the margins. Not knowing  
17 the demography of that, it is difficult to understand, I  
18 think, or make some interpretations of it.

19 DR. ROY: Could I go back to one point, which, given  
20 the efforts everyone has made with the NHANES data, I hesitate  
21 to bring up, but I wonder to what extent it is perhaps even  
22 inappropriate to use that as a source pool of information,  
23 because, to use Bruce's analogy, that was at a time when all  
24 of these pent-up cases waiting to be treated with this product  
25 were in existence. How can we now extrapolate those data to

1 the current time period? To what extent is that appropriate  
2 or inappropriate to do?

3 DR. STADEL: All I would say is that I thought from  
4 a long time ago that a good study of the prevalence, severity,  
5 and treatment of acne in the United States at the beginning of  
6 this whole issue would have been a great ray of light. We do  
7 not have it and we use what we have. This is the only thing  
8 we have.

9 DR. SCHLESSELMAN: This is a response to Subir's  
10 question. Actually, wouldn't it be a good situation in which  
11 to estimate prevalence, because you do not have the imposition  
12 of treatment on the population? So rather than being a bad  
13 situation, it would seem at first thought to be a good one,  
14 because it gives you, if the survey is properly done, an idea  
15 about the number of cases that are arising in the population  
16 without any effective treatment under way. Any survey that is  
17 done today is going to have an effective treatment, so the  
18 prevalence would presumably be somewhat reduced.

19 DR. DAVIDSON: Are there any other questions from  
20 the committee?

21 DR. TSCHEN: As a practicing dermatologist, I have  
22 noted that many patients are now being referred to a school or  
23 referral centers for treatment. Do the Roche representatives  
24 have any information regarding that practice, or how many  
25 patients being treated have had the benefit of two or more

1 medical opinions?

2 DR. ARMSTRONG: I would say that we do not have  
3 systematic data on that. We have anecdotal reports from  
4 physicians who say that they have elected not to prescribe  
5 this drug to women and prefer to send their patients to  
6 referral centers for evaluation and management.

7 DR. DAVIDSON: Any other questions?

8 (No response)

9 Since the afternoon guests have been given times for  
10 their presentations, if there are no further questions from  
11 the committee, I think we should adjourn for lunch and  
12 reconvene at 1:30.

13 (Whereupon, at 12:50 p.m., the meeting was recessed,  
14 to reconvene at 1:30 p.m., the same day.)

AFTERNOON SESSION

1  
2 DR. SCHROETER: It is 1:32 and I think we should  
3 move ahead on schedule. The next item on our agenda is  
4 comments from guest organizations. We have a number of them.  
5 Each of the groups has about 15 minutes for their presen-  
6 tation. We have one added group to this list, and that is  
7 from the Boston Cooperative Group, from Boston University.  
8 The first group is the Health Research Group and I do not  
9 have the individual's name representing them but if they  
10 would go to the microphone and identify themselves?

11 (No response)

12 I presume that they are not present. Maybe they  
13 are not in the room yet. Can we then start with the next  
14 group and we will call on them later? The next group is the  
15 American Academy of Dermatology. Dr. Steve Webster, president  
16 of the American Academy of Dermatology?

17 PRESENTATION BY STEPHEN WEBSTER, M.D.

18 DR. WEBSTER: Thank you. Mr. Chairman and members  
19 of the Advisory Committee, I am Dr. Stephen Webster, a  
20 dermatologist with the Gunderson Clinic, in La Crosse,  
21 Wisconsin; associate clinical professor of dermatology at the  
22 University of Wisconsin and University of Minnesota medical  
23 schools.

24 I appear before you today in my capacity as  
25 president of the American Academy of Dermatology. The

1 American Academy of Dermatology is the largest professional  
2 medical society of physicians specializing in diseases of the  
3 skin, hair and nails. The Academy is dedicated to advancing  
4 the science of dermatologic medicine and surgery to promote  
5 the highest possible standards in clinical practice, education  
6 and research in dermatology and to enhance patient care.

7 On behalf of the Academy's more than 8800 members,  
8 I want to thank you for the opportunity to meet with you  
9 again. I welcome the opportunity to review the Academy's  
10 ongoing activity related to the use of isotretinoin or  
11 Accutane. Joining me today will be Dr. Peter Pocchi and Dr.  
12 Mary Spraker.

13 It has already been established and presented that  
14 Accutane is an extraordinarily effective therapy against  
15 severe acne, a condition which we, as dermatologists, were  
16 previously unable to successfully treat. Because of time  
17 constraints, I will not go into emphasizing this fact as I  
18 think it has already been very well made.

19 The problem, as we all know, is that Accutane is a  
20 teratogenic drug and, like a great many other medications, it  
21 must not be given during pregnancy. The Academy has con-  
22 sistently stressed this contraindication and danger and we  
23 will continue to do so.

24 Nevertheless, the members of the American Academy  
25 of Dermatology, experts who know this disease, its natural

1 history and the ineffectiveness of alternate therapy for  
2 severe acne, believe that the benefits that Accutane offer  
3 justify its present and continued use with appropriate  
4 warnings and precautions against pregnancy during therapy.

5           However, because of the potential for serious side  
6 effects from Accutane during pregnancy, the Academy has  
7 undertaken an unprecedented educational campaign. Since May  
8 of 1988, the Academy has sent several "dear colleague"  
9 letters regarding Accutane to our entire membership. We have  
10 strongly encouraged our members to participate in the Slone  
11 Epidemiology Unit study of the female Accutane users.

12           We also appointed a committee to develop guidelines  
13 on the use of Accutane in the treatment of female acne  
14 patients of childbearing age. These guidelines were published  
15 the in November, 1988 issue of the Journal of the American  
16 Academy of Dermatology. Last year we published guidelines on  
17 care for acne vulgaris that reiterated the guidelines for  
18 prescribing Accutane.

19           In addition, the Academy has worked with the  
20 American College of Obstetrics and Gynecology and has  
21 developed a revised patient information brochure regarding  
22 birth control which contains information on Accutane.

23           Within the past year, the Academy produced a video,  
24 entitled, "Counseling Dermatologic Patients on the Use of  
25 Contraceptives." Copies of this video were included in an

1 educational package and mailed to all members of the Academy.  
2 This mailing was also provided to 108 dermatologic training  
3 centers and a letter was sent urging that the video be  
4 incorporated in the curriculum of that center.

5 Last year the Academy sponsored a consensus  
6 conference on the classification of acne, and this is of  
7 vital importance. The report of the consensus conference on  
8 acne classification will be distributed to the Committee and  
9 will be further discussed by Dr. Pocchi. This was printed in  
10 the March, 1991 issue of the Journal of the American Academy  
11 of Dermatology. In an effort to highlight the importance and  
12 usefulness of this report as a desk reference for derma-  
13 tologists, the Academy is sending a special reprint of this  
14 report to our entire membership. Again, this will be  
15 provided to the Committee and I think it will answer a lot of  
16 questions regarding the classification of acne.

17 I can assure you that we will continue our efforts  
18 to properly educate dermatologists regarding the use of  
19 Accutane. The Academy remains sensitive to the issues being  
20 raised concerning birth defects and to testimony at this and  
21 previous hearings. For example, you have previously heard  
22 testimony that Accutane is over-prescribed. However, as Dr.  
23 Stern pointed out and others in the discussion of the NHANES  
24 study, there is some question about these earlier assumptions.

Furthermore, while the Slone study is reassuring,

1 we are concerned about some of the data. For example, 99  
2 percent of the enrollees were told to avoid pregnancy but only  
3 62 percent reported having had a pregnancy test done prior to  
4 the start of treatment. Perhaps the physician did not feel  
5 that a pregnancy test was necessary. I know that I, as a  
6 physician, may not order a pregnancy test for a patient who  
7 is sterile or postmenopausal.

8 We will report the Slone data to our membership and  
9 we will continue to work with the manufacturer and other  
10 health professionals to ensure that the use of Accutane is  
11 safe and effective. We are convinced that the actions  
12 already taken in our continuing medical education program  
13 address emphatically the issue of preventing birth defects  
14 while still allowing patients with severe cystic acne to have  
15 access to this valuable drug.

16 Thank you for permitting me to speak on behalf of  
17 the American Academy of Dermatology and I would like to  
18 introduce you to Dr. Peter Pocchi.

19 PRESENTATION BY PETER POCCHI, M.D.

20 DR. POCCHI: Thank you very much, Dr. Webster. Mr.  
21 Chairman and members of the Advisory Committees, I am Dr.  
22 Peter Pocchi and my presentation today deals with the  
23 classification of acne, more specifically, a delineation of  
24 the criteria that would designate a case of acne as being a  
25 severe one.

1           For the most part, the determination of whether a  
2 patient has severe acne or not rests on objective criteria  
3 but subjective factors can contribute to such an assessment  
4 as well.

5           At opposite ends of the severity spectrum of acne  
6 disease there is rarely dispute as to what constitutes a mild  
7 case versus a severe case of acne.

8           (Slide)

9           For example, this shows an unequivocal instance of  
10 mild inflammatory acne.

11          (Slide)

12          Whereas, this second slide demonstrates an obvious  
13 example of a severe case of inflammatory acne.

14          But the central issue to be considered here is that  
15 while most cases of acne are less severe than shown in this  
16 slide, frequently the acne is still sufficiently severe to  
17 warrant the use of systemic modalities that would include  
18 oral antibiotics or hormonal therapy for women or Accutane.

19          (Slide)

20          Toward an understanding of the difficult issue of  
21 categorizing gradations of acne severity, this slide shows,  
22 by way of introduction and information for all assembled, a  
23 simple classification of the types of acne lesions.

24          For the sake of this presentation, noninflammatory  
25 lesions or comedones, which are blackheads and whiteheads,

1 can be dispensed with since acne manifested only by comedones  
2 cannot really be characterized as severe, moreover, they do  
3 not lead to scarring.

4 Inflammatory lesions, papules and pustules, are  
5 smaller than 5 mm. A papule is a pimple and a pustule is a  
6 pimple with a little central core of pus. The other type of  
7 inflammatory nodule, the third type, is a nodule that is  
8 frequently referred to as a cyst. That is somewhat erroneous  
9 but I will not go into the reasons for this. The word cystic  
10 acne has become so ingrained in the literature that we do  
11 retain that term. But a cyst is really a nodule and it is  
12 basically a big pimple.

13 That arbitrarily is defined as an inflammatory  
14 lesion that is 5 mm or larger. Therefore, a lesion that is 5  
15 mm is a cyst and a lesion that is 4.5 mm is not.

16 Immediately, the classification, therefore, of acne  
17 is difficult because it is a highly pleomorphic disorder in  
18 which the inflammatory lesions vary in size, in density, in  
19 severity of the inflammation within them in a given individual  
20 and in the same area of involvement of the skin in that  
21 individual.

22 There is also considerable variability in the  
23 natural evolution and healing of lesions. Because of the  
24 pleomorphic nature of acne, it should be obvious that it is  
25 difficult to quantify acne severity by simple enumeration of

1 lesions.

2 For this reason, the American Academy of Dermatology  
3 sponsored a consensus conference to review the various  
4 methods of classifying acne severity. A conclusion reached  
5 by a panel of acne experts at the conference was that finite  
6 numbers for classifying acne cannot provide an adequate  
7 assessment of acne severity. Instead, a pattern diagnosis  
8 system of grading acne was proposed.

9 (Slide)

10 As this slide shows, the degree of inflammatory  
11 acne is designated as mild, moderate or severe based upon a  
12 lesion count approximation rather than exact numbering. We  
13 will discount mild since that is not a consideration for us.  
14 Moderately severe acne would be characterized by the presence  
15 of several to many papules and pustules or few to several  
16 nodules or cysts. A severe case of acne would have either  
17 numerous or extensive papules or pustules or many nodules.

18 What I think should be appreciated here is that  
19 more often than not an individual who has moderate nodular  
20 acne will also have substantial numbers of papular and  
21 pustular lesions to the extent that the classification for  
22 that person would be deemed severe. That is, moderate  
23 nodular acne plus moderate papular/pustular acne would equate  
24 to severe acne.

25 (Slide)

1           Additionally, there are complications or circum-  
2 stances that may be important in designating a patient as  
3 having severe acne. These modifying characteristics or  
4 factors are listed on this slide.

5           It was the opinion of the consensus conference  
6 panel on acne classification -- these are divided into  
7 objective, subjective and miscellaneous -- that the presence  
8 of purulent drainage, serosanguineous discharge, sinus tracks  
9 or the presence or active scarring, no matter how mild or  
10 moderate the disease, are complications that of themselves  
11 would automatically render a case of acne as being severe.

12           Then there are subjective considerations -- psycho-  
13 logical, sociological and occupational. Of special impor-  
14 tance among these, as was brought up by Dr. Shupak this  
15 morning, is the psychological aspect of this highly visible  
16 disorder. Acne, even in its milder forms, can be psycho-  
17 logically devastating and socially stigmatizing.

18           Finally, there is the lack of therapeutic respon-  
19 siveness, often taking many months and years to go through a  
20 variety of treatments that prove inadequate, and also the  
21 difficulty of tolerating acne medications which, strictly  
22 speaking, are not factors in the context of a classification  
23 system but are, nevertheless, important additional determi-  
24 nants in the decision to employ drugs such as Accutane.

25 Thank you.

1 PRESENTATION BY MARY SPRAKER, M.D.

2 DR. SPRAKER: I am Mary Spraker and I speak to you  
3 today as a dermatologist and a pediatrician, practicing  
4 academic pediatric dermatologist, the immediate past chairman  
5 of the American Academy's task force on pediatric dermatology  
6 and the current chairman of an AAD ad hoc committee on  
7 Accutane. I am also a mother.

8 The American Academy of Dermatology does not think  
9 additional limitations in the distribution or availability of  
10 Accutane are reasonable. Clear-cut guidelines for prescribing  
11 the drug are listed in the current package insert. Although  
12 following the package insert recommendations is voluntary  
13 upon the judgment of the prescribing physician, the safe use  
14 of the drug for the patient's sake, the desire of every  
15 physician to deliver the best care to his patients and the  
16 threat of malpractice very strongly encourage the prescribing  
17 physician to comply with these guidelines.

18 If Accutane were not readily available, patients  
19 would obtain it elsewhere, from other countries or via a  
20 black market, since the drug is so necessary and effective.  
21 Restricting the drug to regional centers, as has been  
22 proposed by others at past hearings, would result in sig-  
23 nificant inconvenience for the patient, increased expense and  
24 could very well not reduce pregnancy exposures.

25 A decade ago, when Accutane was in the clinical

1 trial phase of drug development and so was, by definition,  
2 limited to "center use," several pregnancies occurred despite  
3 pregnancy prevention counseling.

4           The mandatory monitoring system now used for the  
5 antipsychotic drug Clozapine has led to an extremely expensive  
6 annual cost per patient, \$7000, due to the distribution  
7 system alone plus another \$2000 per year for the actual drug.  
8 This, in effect, has caused rationing of the drug. Accutane  
9 is expensive enough. An average 20-week course of therapy  
10 costs approximately \$950 for drug alone, plus additional  
11 expenses for laboratory tests and office visits.

12           Another serious drawback to the regional center  
13 concept is that the physician, who has best come to know the  
14 particular acne patient and has established a good working  
15 relationship, would not be able to be involved in the  
16 subsequent Accutane therapy. This physician-patient relation-  
17 ship helps the physician evaluate the reliability of the  
18 patient and should optimize patient education regarding  
19 pregnancy prevention.

20           Unfortunately, the drug is a teratogen and the age  
21 group of patients afflicted with acne is also at highest risk  
22 for pregnancy. Therefore, the drug presents interesting and  
23 complicated ethical issues which require balancing the needs  
24 of the mother and fetus, the prescribing physician and  
25 patient.

1           It is now recommended that the prescribing physician  
2 counsel the patient regarding contraception and Roche  
3 reimburses the patient for a GYN consultation for such  
4 contraceptive information. But is it ethical to insist that  
5 every patient take an oral, injectable or implantable  
6 contraceptive even if she insists her current contraception  
7 is adequate or if she is not sexually active? Occasionally  
8 patients develop serious complications from contraceptives.  
9 Doesn't the patient have a right to participate in this  
10 decision?

11           Recent FDA approval of an implantable progesterone  
12 type contraceptive agent provides us with an additional  
13 contraceptive alternative. However, the insertion of an  
14 implantable contraceptive would not be acceptable to a large  
15 group of Accutane users. A somewhat invasive procedure is  
16 required for the placement of the product and the agent  
17 itself is fairly expensive.

18           The patient, once given the alternatives with the  
19 prospective pros and cons, has the right to participate in  
20 the choice of her contraceptive. We need to recognize that  
21 as physicians we can guide our patients but we do not have,  
22 and do not want, the power to control them.

23           Never in the history of drug prescribing has more  
24 been done to educate physicians and patients regarding the  
25 teratogenicity of a medication. The patient and physician

1 information methods we have created together are excellent  
2 and truly innovative, and will be helpful when other medi-  
3 cations with serious side effects are considered in the  
4 future. Yet, as we proceed, we need to take great care that  
5 the decisions we make regarding Accutane will have important  
6 ramifications for many other medications, both old and new.  
7 Thank you.

8 DR. SCHROETER: Thank you. Since we started on  
9 time and the Health Research Group was not present, I will  
10 ask again if there is somebody from that group who will  
11 represent them.

12 (No response)

13 If there is not, we will go on to the Teratology  
14 Society.

15 PRESENTATION BY CAROLE A. KIMMEL, Ph.D.

16 DR. KIMMEL: Good afternoon. I am Carole A.  
17 Kimmel, Ph.D., developmental toxicologist in the Office of  
18 Research and Development, at the U.S. Environmental Protection  
19 Agency. I am speaking to you today in my capacity as  
20 president of the Teratology Society.

21 We appreciate very much the opportunity of address-  
22 ing this joint meeting of the Dermatologic Drugs and Fertility  
23 and Maternal Health Drugs Advisory Committees. We have  
24 presented testimony to these Committees on several previous  
25 occasions.

1           The Teratology Society is a professional organi-  
2 zation of basic scientists, pediatricians, obstetricians,  
3 toxicologists and other health scientists concerned with the  
4 causes, mechanisms, manifestations and prevention of birth  
5 defects and other types of developmental abnormalities.  
6 Members of the Teratology Society are from academia, govern-  
7 ment and industry.

8           As a professional society, we are, and have been,  
9 concerned with the developmental defects caused by retinoids.  
10 The public affairs committee of our Society earlier wrote a  
11 position paper on the use of Vitamin A supplements during  
12 pregnancy, and has recently completed a position paper,  
13 entitled, "Recommendations for Isotretinoin Use in Women of  
14 Childbearing Potential." This paper is scheduled for publi-  
15 cation in the July issue of the Society's official journal,  
16 Teratology.

17           My remarks this afternoon will summarize the  
18 recommendations in this position paper. The statements made  
19 here have been reviewed and approved by the Council of the  
20 Teratology Society.

21           The Teratology Society recognizes that isotretinoin,  
22 marketed under the trade name of Accutane, is an effective  
23 treatment for severe recalcitrant cystic acne, and has been  
24 approved by the FDA only for this condition. Isotretinoin is  
25 also a potent human developmental toxicant. Despite pregnancy

1 category X classification and patient package insert warnings,  
2 malformed babies attributable to isotretinoin exposure have  
3 been reported every year since 1983.

4           The Teratology Society contends that it is neces-  
5 sary, therefore, to limit isotretinoin use among fertile  
6 women, to those who absolutely require this drug and to those  
7 who will reliably use adequate contraception. Thus, the Tera-  
8 tology society recommends that:

9           Number one, Hoffmann-La Roche should ensure ap-  
10 propriate means to limit distribution of isotretinoin so that  
11 women of childbearing potential with an FDA-approved indi-  
12 cation are identified and properly educated about the risks  
13 of taking isotretinoin before initiating therapy with this  
14 agent.

15           Number two, implantable progesterone-type contra-  
16 ceptive agents should be strongly recommended for female  
17 isotretinoin users because these contraceptives reduce the  
18 risk of pregnancy up to 10-fold when compared to oral  
19 contraceptives. Furthermore, the FDA should hasten the  
20 labeling of injectable Depo-Provera for contraceptive use  
21 since it can be used for contraceptive purposes over a 5-6-  
22 month period, the range of time needed for Accutane therapy,  
23 while the implantable products are intended for long-term  
24 contraceptive use. In addition, the implantable drug may not  
25 be financially affordable for some women.

1           Number three, the Accutane survey should be  
2 continued and rigorously evaluated. If this surveillance  
3 cannot determine accurately the rate of exposed pregnancies,  
4 the reasons for the exposures and the fate of those preg-  
5 nancies, then a new monitoring system should be established.

6           Number four, if implementation of these recommen-  
7 dations cannot ensure the prevention of birth defects, then  
8 the manufacturer and the U.S. Food and Drug Administration  
9 must consider further restricted availability of isotretinoin.

10           These recommendations by the Teratology Society are  
11 meant to complement and extend the stringent conditions  
12 printed in the recently released package insert by the  
13 manufacturer.

14           In summary, to minimize exposure of fertile women  
15 to isotretinoin, the Teratology Society recommends that the  
16 manufacturer pursue development of a plan to limit distri-  
17 bution of isotretinoin to those patients with an FDA-approved  
18 indication.

19           To further reduce the risk of isotretinoin develop-  
20 mental toxicity, the Teratology Society recommends that female  
21 isotretinoin users be strongly urged to utilize implantable  
22 or injectable progesterone contraceptive agents. Since the  
23 use of the implantable contraceptive is reputed to be costly  
24 and is intended for long-term use, the Teratology Society  
25 further recommends that the FDA hasten the labeling of the

1 injectable Depo-Provera for contraceptive use.

2           Finally, continued postmarketing surveillance to  
3 detect inadvertent use is essential to monitor the success of  
4 the first two recommendations. The present surveillance  
5 system should be continued or replaced by a more rigorous  
6 program if necessary.

7           The Teratology Society recognizes that isotretinoin  
8 is a valuable therapeutic agent. However, its inadvertent  
9 use during pregnancy is likely to cause serious adverse  
10 consequences to the conceptus. The recommendations made by  
11 the Teratology Society represent an effort to maintain the  
12 availability of isotretinoin for its intended use while  
13 reducing or eliminating the possibility of exposure during  
14 pregnancy.

15           The Society would be more than willing to work  
16 together with the FDA and these two Advisory Committees to  
17 provide expertise on the risks of Accutane exposure during  
18 pregnancy. Thank you.

19           DR. SCHROETER: Thank you. Next, can we have a  
20 representative from the American College of Obstetrics and  
21 Gynecology?

22                           (No response)

23                           We are all on the Committee.

24                           (Laughter)

25                           Do we have a representative from the American

1 Academy of Pediatrics?

2 PRESENTATION BY RICHARD GORMAN, M.D.

3 DR. GORMAN: Good afternoon. Thank you for the  
4 opportunity to address the joint Committees. I have been  
5 authorized by the American Academy of Pediatrics to present  
6 my views on the current status of isotretinoin to this joint  
7 meeting of the Dermatologic Drugs and Fertility and Maternal  
8 Health Drugs.

9 DR. SCHROETER: Could you identify yourself?

10 DR. GORMAN: My name is Richard Gorman. I am a  
11 pediatrician in solo practice in a suburb of Baltimore, and I  
12 sit as a member of the American Academy of Pediatrics  
13 committee on drugs.

14 These comments I am about to make have not been  
15 reviewed by the executive committee of the American Academy  
16 of Pediatrics nor by the committee on drugs. Though my  
17 remarks are not to be considered as a position of the  
18 Academy, the American Academy of Pediatrics is extremely  
19 interested in continuing to monitor the current status of  
20 isotretinoin.

21 In reference to the two questions that are addressed  
22 to the Committee today, the American Academy of Pediatrics  
23 has reached no consensus opinion. Isotretinoin is effective  
24 in the treatment of severe recalcitrant cystic acne.

25 Patients given this agent in doses of 0.5-2.0 mg/kg/day for

1 15-20 weeks have reduction of sebaceous gland size and  
2 activity. A course of treatment usually results in signifi-  
3 cant clearing of lesions and prolonged remission of physical  
4 and emotional scarring of this condition.

5           However, isotretinoin is a human teratogen. The  
6 risk of teratogenesis is of the same order of magnitude as  
7 thalidomide, that is, approximately 20-30 percent of exposed  
8 pregnancies will be affected. This represents the most  
9 serious potential complication.

10           Moreover, of specific concern to the Academy of  
11 Pediatrics, a single report has demonstrated that 50 percent  
12 of live born infants, with and without external malformations,  
13 born to women who have been taking isotretinoin during  
14 pregnancy show subnormal intelligence or other neuropsycho-  
15 logical impairments.

16           The American Academy of Pediatrics, like the joint  
17 Committees, awaits the ongoing evolution of data about drug  
18 use and the long-term consequences to the pediatric popu-  
19 lation. Specific concerns that the Academy has, expressed to  
20 its membership, include:

21           The Academy is concerned that specific risk-benefit  
22 ratios remain a high profile issue for both the FDA and for  
23 Hoffmann-La Roche.

24           The Academy membership is worried about fetal  
25 exposure, as well as decreased birth defects.

1 A recent review of medical journals indicates that  
2 there seem to be expanding unlabeled indications for Accutane  
3 in other keratinizing disease states. Fourteen conditions  
4 have been reported as being effectively treated by Accutane  
5 in medical journals.

6 The Academy is also concerned that this agent is  
7 also used in reproductive females for conditions that do not  
8 meet the labeling, that is, both mild acne and acne that is  
9 not recalcitrant to previous therapy. Data presented at this  
10 meeting leave me not much clearer as to whether or not that  
11 is a major problem.

12 If the Roche pregnancy prevention program is 100  
13 percent effective and the only failure is, in fact, contra-  
14 ception failure, there would still be an ongoing burden to  
15 society in the number of patients who are born exposed to  
16 this agent.

17 I think those are all the concerns that have been  
18 raised by the membership. I would like to thank the Committee  
19 for the opportunity to present today.

20 DR. SCHROETER: Thank you. Next on our list is the  
21 American Academy of Family Physicians. Unfortunately, that  
22 representative will not be here. However, a statement to the  
23 FDA has been left in our hands and that will be circulated at  
24 this time.

1 Cooperative Group, Boston University Medical School, by Dr.  
2 Jick, associate professor of medicine.

3 PRESENTATION BY H. JICK, M.D.

4 (Transparency)

5 DR. JICK: Thank you very much. As was noted, I am  
6 Dr. Jick and I am an associate professor of medicine at  
7 Boston University. Our research group has been carrying out  
8 a detailed follow-up study of Accutane users for eight years.  
9 Having heard about this meeting, I thought it would be  
10 worthwhile to present some of the results, particularly as  
11 they are relevant to the questions that have been raised at  
12 this meeting.

13 This study has been carried out at the Group Health  
14 Cooperative of Puget Sound, which is a health maintenance  
15 organization in the Seattle area. It has a membership which  
16 averages about 300,000 people per year and there are about  
17 95,000 women members who are age 15-49 years.

18 Most drugs, including Accutane, are provided at no  
19 cost through local pharmacies that are run by this HMO. The  
20 pharmacies have been automated since 1976. All prescriptions  
21 that are filled out at these pharmacies are recorded on  
22 computer and are sent to us, in Boston, for research studies.

23 The Cooperative also has their own hospitals and  
24 patients are regularly hospitalized at their hospitals. All  
25 of the hospital discharge diagnoses and relevant details of

1 these hospitalizations have been automated by computer since  
2 1972.

3 (Transparency)

4 So what we essentially have in this population is a  
5 list of all drugs that people have gotten since 1976 and a  
6 list of all hospitalizations, including deliveries and  
7 abortion information.

8 (Transparency)

9 We have a population of 300,000 people. All  
10 prescriptions have been recorded on computer since 1976 and  
11 all hospitalizations also have information automated. We  
12 have all that information in Boston.

13 (Transparency)

14 We started an Accutane follow-up study virtually  
15 the month that Accutane was put on the market and approved in  
16 the formulary at Group Health Cooperative. Group Health  
17 Cooperative has eight dermatologists and they are the only  
18 ones who can prescribe Accutane. From the very start, we  
19 identified every Accutane user and followed them up by  
20 abstracting the clinical record notes for as long as they  
21 were on the drug and for a short period thereafter, all  
22 recipients having been notified to us from September, 1982,  
23 when the drug came on the market, to June, 1987.

24 As I said, the clinical records, including labora-  
25 tory results, were abstracted and sent to us and we coded

1 them and also put them on computer. In addition, all  
2 hospitalizations and recipients of Accutane have been  
3 reviewed from the start.

4           Since 1987, when we stopped this detailed review of  
5 the entire clinical record, we have reviewed all of the  
6 hospitalizations in "ever" Accutane users from July 1987  
7 through 1990.

8           (Transparency)

9           The results of the detailed follow-up study, from  
10 1982-1987, have just recently published in the Archives of  
11 Dermatology. If any of you are particularly interested in  
12 Accutane, there is the reference.

13           There were approximately 200 women of childbearing  
14 age who received Accutane in that phase of the study. None  
15 became pregnant while under medical supervision; 3 women  
16 became amenorrheic while on Accutane but subsequent follow up  
17 revealed that they were not pregnant.

18           One woman received Accutane in late 1983/early  
19 1984. She did not return for a visit but she did return in  
20 December of 1985 and reported that she had taken 12 Accutane  
21 tablets on her own just prior to her visit and that she was,  
22 in fact, pregnant. A pregnancy test was positive and she  
23 elected to have a therapeutic abortion.

24           There was one woman during this time who became  
25 pregnant after stopping Accutane and she also chose to have a

1 therapeutic abortion.

2 (Transparency)

3 Since July of 1987, as far as we can tell, there  
4 have been no pregnancies in current users of Accutane. I  
5 should say that the dermatologists at Group Health are highly  
6 sophisticated, well trained and extremely concerned and  
7 observant dermatologists, and I think they have done every-  
8 thing humanly possible to use this drug properly.

9 There were 7 pregnancies among 406 females whom we  
10 have identified as having used Accutane but these pregnancies  
11 occurred after the Accutane was stopped. Of these, 6 of the  
12 babies were described as normal and 1 baby had Down's  
13 syndrome.

14 So I thought it would be worthwhile to give you the  
15 experience of this very carefully followed cohort of young  
16 women at this institution in Seattle. There are over 400  
17 such women and, as far as we can tell, none of them has  
18 become pregnant while under the care of a dermatologist.  
19 There was 1 woman who self-medicated herself.

20 Whereas, this is obviously not applicable to the  
21 rest of the country, it is a useful experience and I think it  
22 does illustrate that under proper conditions it is possible to  
23 keep pregnancies down to a minimum.

24 There is one other comment I would like to make  
25 about the experience at Group Health Cooperative that is

1 relative to the concern about the diagnosis of the severity  
2 of the acne. I probably should not say this but my impression  
3 is that the treatment at Group Health, which is very careful,  
4 is based not so much on any arbitrary category of acne but,  
5 rather, on the responsiveness of the patient to other  
6 treatments.

7 We actually did a study a couple of years ago in  
8 which we found that over 90 percent of people who were  
9 treated for acne had received extensive courses of antibiotics  
10 and other drugs which had failed to improve the acne, and  
11 only under those circumstances were the patients treated with  
12 Accutane. However, we do not have any categorization of the  
13 severity of the acne. As I say, primarily the concern seems  
14 to be for people who have bad acne that is not controlled by  
15 other means.

16 DR. SCHROETER: Thank you. We are ahead of  
17 schedule. I think we will proceed with the Committees  
18 discussion. If anyone would like to make comments or to  
19 start a dialogue regarding the problems that exist, we can do  
20 that. If not, we will move specifically to addressing the  
21 two questions and that will focus our discussion.

22 DR. DAVIDSON: I have one question and one comment  
23 about the abnormalities. I just wonder whether or not there  
24 has been documented a clear-cut pattern of abnormality that  
25 is characteristic of the drug, and have the abnormalities that

1 have been seen been tested against that characteristic? In  
2 most instances, drugs have a characteristic pattern, depending  
3 upon the period of gestation at which they are introduced. I  
4 just wonder about that.

5 The companion comment which, in part, raises this  
6 question is that, at least as I interpret the data, the  
7 occurrence of congenital abnormalities is similar to what the  
8 background occurrence is in the general population. I am  
9 talking about the ones that are being reported. I am not  
10 talking about the incidence in relationship to abortions or  
11 other things but what is really being reported here for  
12 whatever reasons.

13 But is there a characteristic pattern and have the  
14 abnormalities been graded against that pattern?

15 DR. SCHROETER: Would someone from Hoffmann-La  
16 Roche like to speak to that?

17 DR. DAI: Yes, there are characteristics associated  
18 with Accutane. There are three kinds of abnormalities that  
19 are characteristic of Accutane babies. The abnormalities  
20 include the CNS system, the cardiovascular system, as well as  
21 craniofacial system.

22 For the craniofacial system the most obvious one is  
23 the ear abnormality, which includes the low set ear, the  
24 microtia or absolutely no ear, and also stenotic ear canals.

25 The CNS abnormalities include hydrocephalus and

1 also other cerebral and cerebellar abnormalities. The  
2 cardiovascular abnormalities most commonly seen are those  
3 which involve the coronal truncal system, including ventri-  
4 cular septal defect and also other kinds of aortic abnor-  
5 malities.

6 Out of some 91 patients that we know of, about 21  
7 patients had all 3 kinds of abnormalities in combination.  
8 Most of the others, more than 50-70 percent, had at least 1  
9 ear abnormality.

10 DR. NIEBYL: Ear abnormalities are rather unique.  
11 Anotia, which means no ears, or microtia, which means small  
12 ears, are quite unusual in the absence of exposure to various  
13 retinoids or Vitamin A derivatives. That is a very unusual,  
14 isolated anomaly, whereas, it occurs quite characteristically  
15 after exposure to Accutane-type drugs.

16 DR. DAVIDSON: But my basic question is how many of  
17 them fit into that kind of characteristic pattern.

18 DR. NIEBYL: The data that Dr. Lammer reported when  
19 he looked at the prospectively ascertained cases -- it is  
20 different if you look at the retrospective ones -- is that  
21 about 25 percent of Accutane-exposed pregnancies have these  
22 characteristic abnormalities. Then we heard last year at  
23 this meeting that another 25 percent have mental retardation  
24 even though they appear normal at birth. Do you want to  
25 comment on that?

1 DR. DAI: Yes. That is not true. First of all, of  
2 all pregnancies, about 60 or 70 percent have been through a  
3 therapeutic abortion. Of those babies who were born, about  
4 25 percent had some kind of congenital malformation. It  
5 could be as minor as, say, an inguinal hernia. They are not  
6 all characteristic of the Accutane babies.

7 Now, the low scores that Dr. Lammer presented last  
8 year, actually, every single one of them which he classified  
9 as having low IQ scores was originally classified as con-  
10 genitally malformed in my table.

11 DR. NIEBYL: You included them in the same 25  
12 percent?

13 DR. DAI: Yes, they are all there.

14 DR. NIEBYL: But they would not have been included  
15 in the ones that were considered characteristic.

16 DR. DAI: They probably did not have characteristic  
17 type of malformations. For example, if a baby has VSD, how  
18 do you tell whether it is Accutane or not?

19 DR. NIEBYL: Right.

20 DR. DAVIDSON: I think that is problematic. I  
21 would like to make an observation, and it has to do with the  
22 reporting or the under-reporting of birth defects. Of  
23 course, this is anecdotal but, having had a considerable  
24 experience in dealing with the professional liability and  
25 some related questions in obstetrics, I seriously doubt there

1 is a significant number of unreported birth defects due to  
2 this drug.

3 DR. NIEBYL: I would agree with that. When a baby  
4 is born with significant anomalies and mental retardation,  
5 the first thing that everybody asks is whether there was any  
6 drug exposure.

7 DR. SCHROETER: Dr. Stadel, do you want to make a  
8 comment?

9 DR. STADEL: Yes, I would like to. Dr. Franz Rosa,  
10 our teratologist is not here. We wrote to the 1600 or so  
11 maternal and child health specialists. I thought it is worth  
12 reading the pertinent paragraph: Accutane embryopathy  
13 involves mainly a triad of brain injury, small external ears  
14 and canals and cardioaortic defects. Although brain injury  
15 is the most frequent finding -- according to Franz, in 90  
16 percent of known cases -- ear deformities are the most  
17 conspicuous observation and can vary from small, low set,  
18 posteriorly rotated ears and canals to total absence of ears  
19 and canals. Defects of the heart or aortic arch have been  
20 found in about half of the cases. It goes on though that,  
21 unfortunately, Accutane exposure in pregnancy may be unknown,  
22 and so on.

23 Dr. Rosa has expressed concern to me and I have  
24 received this from Dr. Erickson, at CDC, that the obverse  
question has not been fully answered. That is, if you

1 evaluate enough babies with birth defects that are not  
2 phenotypically clear, how many do you find? Not very much  
3 work has been done in that area, to my knowledge. There has  
4 been one pilot study done by CDC and that is all I know of.

5 So I think the up-side is that when you recognize  
6 it, it is clear. The down-side is not so clear, that is, as  
7 to whether there is any meaningful number of atypical  
8 phenotypes. Thank you.

9 DR. SHUPAK: I would like to ask the representative  
10 from the Teratology Society a question. You alluded in your  
11 presentation to some data on natural Vitamin A supplementation  
12 in pregnant women. Could you share with us some of that  
13 report and how this might relate or act as a measure against  
14 which we can evaluate Accutane?

15 DR. KIMMEL: I am not sure I can help you a great  
16 deal. In the Vitamin A position paper it was recommended  
17 that supplements of no greater than 10,000 IUs be available  
18 for women because it was recommended that no greater than  
19 that amount of supplementation be used during pregnancy in  
20 order to avoid the possibility of malformations in humans  
21 from excessive Vitamin A supplementation.

22 I do not know though that that relates very much to  
23 the situation here, except that we know that retinoids in  
24 general do tend to be teratogenic when used in unreasonable  
25 doses. But I do not have any way of making an equivalent

1 evaluation between Vitamin A supplementation and isotretinoin.

2 DR. NIEBYL: There have been a couple of case  
3 reports, and that is the extent of the data. The cut-off  
4 seems to be about 25,000 IUs, above which you can get  
5 embryopathies very similar to Accutane exposure with the  
6 typical ear malformations. So it has been recommended not to  
7 supplement pregnant women at that level but that is a pretty  
8 high level. Nobody needs that for vitamins.

9 DR. SCHROETER: I have a question regarding the  
10 birth defects. Am I clear that in those infants who are born  
11 to pregnancy subjected to Accutane and there are no congenital  
12 defects, there is mental retardation in a significant number?  
13 I thought I remember the people from California saying that  
14 it is as high as 50 percent or more.

15 DR. DAI: You can look at malformation in terms of  
16 whether the baby had a CNS malformation, major malformation  
17 or any malformation. So what I was talking about was that  
18 those people who were classified as having low IQ scores, all  
19 of them had some kind of malformation. That is how I  
20 classified in my table. But if you ask whether all of them  
21 had CNS or not, maybe not every one of them had obvious CNS  
22 malformations.

23 DR. NIEBYL: So a baby could have had an inguinal  
24 hernia and later be discovered to have serious mental  
25 retardation?

1 DR. DAI: No, I do not think the person who had  
2 inguinal hernia had a low IQ score. Most likely, the baby  
3 had some kind of hearing defect.

4 DR. KIMMEL: There is a publication by Adams and  
5 Lammer, who have done the follow-up studies on 5-year olds  
6 exposed to Accutane during the first trimester. The figures  
7 that I have from that paper are that 52 percent of the 5-year  
8 olds that they studied had intellectual deficits and, of  
9 those, 37.5 percent had no major malformations, that is, major  
10 malformations.

11 DR. SCHROETER: That was my recollection. Can  
12 anyone tell me that there are no problems in differentiating  
13 these congenital abnormalities from those of alcohol inges-  
14 tion? I think there is some overlap in that.

15 DR. NIEBYL: Oh, yes.

16 DR. SCHROETER: Has that been excluded from the  
17 numbers of infants that have been labeled as Accutane?

18 DR. DAI: Can you repeat the question?

19 DR. SCHROETER: Have alcohol birth defects been  
20 excluded and can they be readily identified?

21 DR. DAI: Yes, I think you are correct, alcohol is  
22 a very difficult question to ask and you do not know whether  
23 the information you gather is reliable or not. The infor-  
24 mation we have from the spontaneous reporting system is that  
25 some of the malformed babies did have alcohol abuse mothers.

1 DR. SCHROETER: Were those excluded in any way from  
2 the numbers you presented to us?

3 DR. DAI: I think there is a small number of  
4 malformations that we know of. But as I said before, alcohol  
5 history is very difficult to get reliable information on.

6 DR. SCHROETER: So what you are telling me is that  
7 if the mother has taken alcohol during pregnancy, the birth  
8 defects overlap with Accutane and, therefore, are very  
9 difficult to differentiate?

10 DR. DAI: I think that is true.

11 DR. NIEBYL: Well, some of the central nervous  
12 system problems certainly overlap, mental retardation for  
13 example. But some of the other characteristics are different.  
14 Alcohol syndrome is associated with mid-facial deformities  
15 and mid-facial hypoplasia as opposed to the ear malformations  
16 which are more typical of Accutane. So there is a little bit  
17 of difference but, certainly, when you get a baby with  
18 significant central nervous system malformation, it could be  
19 either way.

20 DR. DAI: I would like to clarify one issue. I  
21 never excluded anybody with any kind of malformation. The 91  
22 cases of malformations include any kind of malformation for  
23 any reason we could think of.

24 DR. FLEISS: This is association with and not cause  
25 by --

1 DR. SCHROETER: That is right. Any other comments  
2 or questions from the two Committees before we delve into the  
3 questions specified by the FDA?

4 DR. KIMMEL: I think it is important to point out  
5 that although it is difficult to make these associations  
6 sometimes with drug use, in the case of Accutane there were  
7 animal data long before the human data. In the primates, in  
8 particular, the syndrome of effects that has been charac-  
9 terized in humans is the same. So there is a characteristic  
10 pattern of malformations that is associated with Accutane  
11 exposure in several different species, including humans.

12 DR. DAVIDSON: The point that I am interested in,  
13 and I think others are, against that background, how many of  
14 the malformations being reported fit that characteristic?

15 DR. NIEBYL: A pretty large number.

16 DR. DAI: If you are talking about how many of them  
17 do have three typical kinds of malformations, including the  
18 CNS, ear abnormalities as well as cardiovascular, we know of  
19 lower than 30 patients -- I think 21 or 22 patients had a  
20 combination of 3 malformations.

21 DR. FLEISS: May I ask a question? Do the ear  
22 defects cause hearing difficulties?

23 DR. NIEBYL: Yes, they are usually deaf.

24 DR. FLEISS: Could that affect the diagnosis of  
25 mental retardation? A misdiagnosis, in other words?

1 DR. NIEBYL: It can but it does not necessarily,  
2 but they are often associated too in the same infants.

3 DR. FLEISS: Could that be a problem though when  
4 measuring two aspects of the same problems?

5 DR. MORSETH: My name is Sandy Morseth. I work for  
6 the FDA. I do not have any data on it but I think there are  
7 cases where mental retardation was first considered and later  
8 it was found out that it was a hearing defect or any sensory  
9 defect. The first thing that any psychologist is going to do  
10 is to look at sensory problems before they diagnose.

11 DR. SCHROETER: If there are no other comments or  
12 specific questions, let's move to the questions proposed to  
13 the Committees by the FDA, and I appreciate the FDA simpli-  
14 fying their approach. Oftentimes we get long, drawn out,  
15 complicated questions but these are very straightforward and  
16 to the point:

17 Do the Committees believe that further changes  
18 should be made in the labeling and/or packaging? We are  
19 willing now to entertain any concepts you have in terms of  
20 the labeling.

21 DR. LUMPKIN: I do not mean to presuppose the  
22 answer to the second question but one issue, as far as  
23 labeling, that has arisen that I would be interested in the  
24 Committees' thoughts is when you look at the labeling for  
25 Accutane, for example, in the initial contraindications and

1 warning box, the second sentence reads: There is an extremely  
2 high risk that a deformed infant will result if pregnancy  
3 occurs while taking Accutane in any amount, even for short  
4 periods. Potentially all exposed fetuses can be affected.

5 As we have gone along and as the labeling of this  
6 drug has evolved, we have felt comfortable with the basic  
7 truth of that statement. What we are wondering now is, as we  
8 have developed more and more data, are we in a position to  
9 feel less comfortable about quantifying that statement  
10 further, in the sense that a patient and a physician would  
11 have more information as to what we mean by "extremely high  
12 risk" so that they can make an informed decision of what they  
13 want to do? Or, does the Committee feel that by using the  
14 broader, "extremely high risk" wording we are doing the best  
15 we can at this point in time, as to what the data show, to  
16 protect the patient population that is going to be potentially  
17 exposed to this drug? I would be interested in your comments  
18 on that.

19 DR. SHUPAK: I think the present warning is  
20 adequate and correct and I think any attempts to quantitate  
21 it, just based on the last 20 minutes of discussion here,  
22 will only lead to false impressions.

23 DR. FLEISS: I do not recall hearing any data that  
24 would make me comfortable with a statement of an actual  
25 numeric risk.

1 DR. SCHLESSELMAN: I would like to ask a question  
2 of the dermatologists on the Committee. That is, whether  
3 they believe that the drug is presently being used according  
4 to the indications and usage?

5 DR. SCHROETER: That is an excellent question. Is  
6 it currently being used as the labeling indicates? Dr.  
7 Shupak?

8 DR. SHUPAK: I would answer yes to that question if  
9 we allow that the definition of severity is according to the  
10 consensus paper that Dr. Pocchi presented. If one takes into  
11 account the broader definitions of severity, the average  
12 physician is using it appropriately.

13 DR. SCHROETER: Maybe we should read the indications  
14 and use section. It says: Accutane is indicated for the  
15 treatment of severe recalcitrant cystic acne and a single  
16 course of therapy has been shown to result in complete ...  
17 and so forth.

18 Recalcitrant, as I interpret it and correct me if I  
19 am wrong, means that previous therapies have not been  
20 therapeutic. There is indication from the data, if you  
21 interpret them liberally, that we have moved away from this  
22 in our actual usage to a moderate degree of nodular acne,  
23 which may or may not have had previous therapy because we do  
24 not have data from the Slone study indicating whether it is  
25 "recalcitrant" or not since that was not brought up in those

1 data.

2 DR. SHUPAK: I do not think we have moved away from  
3 the package insert. I think what we have done is moved away  
4 from our definition of severity a little bit because,  
5 remember, the wording of the package insert here is based on  
6 the initial clinical trials during the drug development phase  
7 where truly the most limited -- whatever group you want to  
8 refer to, whether it is the Stern group 1 or the FDA group,  
9 whatever -- those are the patients who were studied and those  
10 are the patients upon whom the approval was given, and those  
11 are the patients who are listed in the package insert.

12 I think that there has been a movement away in  
13 clinical practice from that strict group and what we are  
14 doing, basically, is revising our definition of severity here  
15 and recalcitrance.

16 DR. MITCHELL: Just a point of clarification, in  
17 the Slone survey we do not have information on whether women  
18 had failed on the prior therapy but, in fact, 95 percent of  
19 the women reported having received prior antibiotic therapy  
20 before going on Accutane.

21 DR. SCHROETER: But none of the details --

22 DR. MITCHELL: That is right.

23 DR. MCGUIRE: I think it would not be too difficult  
24 to rephrase the indications for isotretinoin that would more  
25 accurately reflect standard care in the 1990s. I think that,

1 as Dr. Shupak said, maybe our definition is changing. But  
2 the fact is that we see fewer and fewer of the patients who  
3 appear in that obligatory slide that everyone shows with the  
4 severe, destructive pustular and cystic acne. There are  
5 still some of those patients around but I think that care is  
6 now being directed toward people who have either long-term  
7 acne or who have scarring acne.

8 I personally think that those patients deserve this  
9 level of care after they have failed other conventional  
10 therapy. I think it would not be very difficult to alter  
11 this to be more reflective of what is going on and provide  
12 both physicians and patients with maybe a higher degree of  
13 comfort than this very telegraphic description affords.

14 DR. LUMPKIN: Just as an answer, I would like to  
15 remind the Committees that under the FD&C Act our indications  
16 and usage have to be based on data from adequate and well-  
17 controlled trials. I think the point that Dr. Shupak is  
18 bringing up is that perhaps the interpretation of severe has  
19 gone through an evolutionary process. I would submit that if  
20 you seriously wanted us to consider changing the wording of  
21 the indication in such a manner, it would vary substantially  
22 from the data upon which the indication was granted, and that  
23 it could be argued that we would need adequate and well-con-  
24 trolled trials, that a different patient population was,  
25 indeed, being affected in that manner as opposed to the

1 anecdotal feelings that people have about what the general  
2 use of the drug is.

3           So there is a bit of a difference in an I&U from  
4 adequate and well controlled trials versus its general use in  
5 the patient population.

6           DR. SCHLESSELMAN: Dr. Lumpkin, are you suggesting  
7 that a drug which is effective for a severe form of the  
8 disease would be less effective for a moderate form of the  
9 disease?

10           DR. LUMPKIN: No, I would not suggest that but I  
11 think you have to remember that it is based on two elements,  
12 and that is the efficacy and the safety. I think when you  
13 are bringing in a risk-benefit ratio, it could be brought up  
14 again that you have a different ratio in a more moderately  
15 affected patient population, particularly in a drug where  
16 safety seems to be the issue and not efficacy. I do not  
17 doubt its efficacy but we have to look at both elements of  
18 the equation.

19           DR. CHAMBERS: Wiley Chambers, with the FDA. Just  
20 a point of clarification, the actual studies that were done  
21 for original approval -- there were several studies done --  
22 and some of them were on severe recalcitrant forms. There  
23 were other studies that were done that could be used within  
24 labeled indications that supported just use of severe or  
25 various forms of cystic acne. So there were several studies

1 done. They were not all on severe cystic recalcitrant.

2 DR. SCHROETER: Any other comments? Dr. Tschen?

3 DR. TSCHEN: I would like to ask how much impact  
4 the intervention program had. I would like to know or hear  
5 again, once the intervention program is stopped, does the  
6 Company anticipate any increased incidence of pregnancy  
7 exposure or is there any indication that it will continue to  
8 be the same?

9 DR. ARMSTRONG: I would like to make sure I  
10 understand the question. Are you suggesting that we would  
11 plan to stop the pregnancy prevention program?

12 DR. TSCHEN: The three branches of enrollment that  
13 you had will continue? Is that correct?

14 DR. ARMSTRONG: The intention is to continue the  
15 pregnancy prevention program. We do not intend to discontinue  
16 it.

17 DR. NIEBYL: I think he was asking whether you were  
18 going to do it by phone or by mail since the data seem better  
19 by telephone. Wasn't the pregnancy rate lower in the  
20 telephone arm?

21 DR. ARMSTRONG: The numbers were different. I will  
22 ask Dr. Mitchell to address that question.

23 DR. MITCHELL: The survey is intended to continue  
24 for as long as the pregnancy prevention program is ongoing.

25 We have observed an absolute difference in the pregnancy

1 rates among women followed up by telephone versus mail.  
2 Those have not even approached statistical significance at  
3 this point. What we would plan to do, in concert with our  
4 advisory committee with Roche and with FDA, is to consider  
5 alternative, perhaps mid-ground interventions if it does turn  
6 out that, indeed, the phone arm is entirely more effective  
7 than the mail arm. But I think it is premature at this point  
8 to make that assumption.

9 DR. SCHROETER: It might be appropriate at this  
10 time to ask the Hoffmann-La Roche group to reiterate the  
11 questions that they had for the Committees earlier. You had  
12 three questions that you wanted us to address and I think  
13 that they have to do with labeling. Therefore, it would be  
14 appropriate to address them at this time.

15 DR. ARMSTRONG: That is correct. The first one is,  
16 would use of urine pregnancy tests be acceptable as an  
17 alternative to serum pregnancy tests as a baseline procedure,  
18 with the understanding that we would expect those urine  
19 pregnancy tests to be done by the practitioner in the office  
20 as opposed to being given to the patients to be done at home?

21 The second one is, any recommendations that either  
22 of the two Committees might have on how to increase efficacy  
23 of the contraceptive program?

24 The third one is should the labeling be modified to  
25 recommend that the prescription be given to the patient only

1 at the onset of the menstrual cycle?

2 DR. SCHROETER: Do I hear comments from the  
3 Committees regarding these three recommendations?

4 DR. HANEY: You can give the patient a prescription  
5 whenever you want to. She will fill it whenever she chooses.  
6 There is not a pharmacist around who is going to ask her when  
7 her last period was. So that is crazy. You can give them  
8 recommendations. You can have it on your prescription pad.  
9 You can do whatever you choose. But she will elect to fill  
10 it whenever she wants to and, as you heard, she will take the  
11 pill when she chooses to as well. So education is the key.  
12 I do not think you can enforce that.

13 DR. SHUPAK: As far as the urine pregnancy test, I  
14 think it is a reasonable alternative to the serum pregnancy  
15 test, although I am not sure that the average dermatologist  
16 is going to want to start running pregnancy tests in his  
17 office because then you have the whole issue of quality  
18 control and responsibility for performing a laboratory study,  
19 and so on and so forth. But I have no objection to making it  
20 either/or.

21 DR. NIEBYL: The real issue is an issue of sen-  
22 sitivity of the pregnancy test. Why don't you speak to that?

23 DR. HANEY: Yes, I think you are going to get  
24 increasingly urine tests that are going to be sensitive  
25 enough to within a week of missed menses to always virtually

1 be positive. Right now, you have to be a little tricky about  
2 the time of day when it was collected. It has to be done by  
3 a method that -- you are exactly right -- will not be done in  
4 a dermatologist's office writing a prescription once or twice  
5 a month. That is crazy. An obstetrician will do that  
6 numerous times per day and can afford the effort to do it.

7 So I would still probably urge some very sensitive  
8 and effective test that does not require a practitioner to  
9 put in their office a test that, for practical purposes, they  
10 cannot run. So right now it does not make a difference  
11 whether it is urine or blood but just done in the right place.

12 DR. NIEBYL: You just have to find out from your  
13 hospital what the sensitivity of the pregnancy test is done  
14 either way. You can get very sensitive urine pregnancy  
15 tests. You can also get very insensitive pregnancy tests  
16 that are not positive until a week after the patient misses  
17 her period. You need the kind that is positive at the time  
18 the patient misses her period or before and there is a whole  
19 host of different ones. I do not think it is fair to lump  
20 all urine pregnancy tests together in that regard. If you  
21 have a very sensitive one, yes, it is as good as serum.

22 DR. ROY: We could perhaps just recommend that  
23 either a sensitive pregnancy test, at the level of less than  
24 50 mmIU/mL, or a quantitative serum pregnancy test should be  
25 run and --

1 DR. NIEBYL: But does it have to be quantitative?

2 DR. ROY: Yes, maybe semi-quantitative. Most of  
3 the serum tests that are semi-quantitative I guess are around  
4 15 mIU/mL. But either one of those could be done, whichever  
5 is available.

6 DR. SCHROETER: Do the Committees feel that that  
7 should be a part of the labeling? The labeling now specifies  
8 a serum pregnancy test.

9 DR. NIEBYL: You would have to look at the data.

10 DR. MCGUIRE: We are really talking about two  
11 things: We are talking about who does it and what we do.  
12 Let's deal with the kind of test that is done now. It was my  
13 understanding that the thermometer type chromatographic test  
14 was very sensitive and was adequate. But that is, unfor-  
15 tunately, something I do not know a whole lot about. So the  
16 other half of the Committee has to say if it is sensitive  
17 enough. If it is, then I do not think there is a problem.

18 The other thing that is a little bit confounding is  
19 that many of these patients are going to be getting blood  
20 tests anyway. So I do not think we should eliminate the  
21 serum test because in some cases it is going to be more  
22 convenient to bundle in the serum ACG analysis with the  
23 cholesterol and triglycerides etc. But if the other half of  
24 the Committee says that the urine chromatographic tests that  
are commercially available are as sensitive as the serum,

1 then I would propose including that, but not excluding the  
2 serum because in some circumstances it may be more convenient  
3 to use the serum.

4 DR. MINUS: I thought we were supposed to be  
5 talking about the issue of labeling. It seems to me that we  
6 have very sophisticated labeling already and we are still  
7 having birth defects. I do not see that increasing the  
8 labeling is going to make any difference. We have many  
9 patients who cannot even read what is on the label. So  
10 changing it is not going to make any difference. I see from  
11 the Slone report that the average years of education is 14  
12 years. What about the patients who have 5 years of education  
13 who require Accutane? What difference is the labeling going  
14 to make to them?

15 So if we are going to ask ourselves about the  
16 labeling changes, I do not feel that labeling is going to  
17 make any difference.

18 DR. LUMPKIN: In answer to that, I would like to  
19 say that we ought to remember that the labeling you see here  
20 is basically professional labeling. It is not worded or  
21 intended to be labeling for the patient, other than the  
22 informed consent that is in this particular label. There is  
23 labeling, as you are well aware, that is patient-oriented  
24 labeling. That is another issue altogether. But just from  
25 the FDA's perspective, we have always considered the labeling

1 that you see for this particular product to be professional  
2 labeling and oriented at the practitioner primarily.

3 DR. BARBO: I would like to raise the issue of  
4 giving the patient the prescription only after she has had a  
5 normal menstrual period. If you give the patient the  
6 prescription and then tell her to take it afterwards, she  
7 will fill it and start. When we hear that a number of  
8 patients were already pregnant, they may have had a serum  
9 test done that is not reported back to them, or they think  
10 their period will start. So if you could change that, it  
11 would prevent a number of pregnancies I think.

12 DR. DAVIDSON: I think it is important to education  
13 the patient but patients vary on when they get prescriptions  
14 filled. You know, depending on the particular set of  
15 motivations or convenience, or whatever --

16 DR. BARBO: Or money.

17 DR. DAVIDSON: -- or money. I do not think timing  
18 the giving of the prescription is going to be the critical  
19 issue.

20 DR. NIEBYL: Because even if you give it after this  
21 month, who is to say about next month? You have to make sure  
22 that they are effectively using contraception not just this  
23 month but for however long the course is going to be.

24 DR. BARBO: It would be one more finger in the  
25 dike, that is all.

1 DR. NIEBYL: Right. But certainly the screening  
2 initially is important too. I think probably for the initial  
3 screening a pregnancy test will work. For subsequent therapy  
4 you need contraception.

5 DR. BARBO: But if you give a patient a prescription  
6 going out the door and she gets her pregnancy test later, she  
7 already has the medication.

8 DR. NIEBYL: Your point is well taken. In other  
9 words, link giving a prescription to the negative pregnancy  
10 test. Do not send the test to the lab and write the pre-  
11 scription and then wait for the test to come back in the mail  
12 from the lab when she has already had a week of treatment.  
13 That could be done. You could make sure that the test is  
14 negative before you give the prescription out.

15 DR. BARBO: And every month she gets her next  
16 prescription after you know that she has had a period, not  
17 just give her the prescription.

18 DR. HANEY: Dorothy, a lot of people do not live  
19 very approximate to their doctors. They cannot keep coming  
20 back to the physician for more prescriptions --

21 DR. BARBO: He can still call his prescription in.

22 DR. SCHROETER: In reading the labeling in the  
23 black box, it says a negative serum pregnancy test within two  
24 weeks prior to beginning therapy. It is also recommended, in  
parentheses, that pregnancy testing and contraceptive

1 counseling be repeated on a monthly basis. To encourage com-  
2 pliance with this recommendation, the physician should  
3 prescribe no more than one month's supply of the drug.

4 That is implied in what you are saying but it is  
5 not spelled out specifically. Are you asking that this be  
6 specifically spelled out?

7 DR. BARBO: Yes. I do not think some physicians do  
8 that.

9 DR. SCHROETER: How would you recommend changing  
10 the wording?

11 DR. BARBO: That the prescription not be given to  
12 the patient until there is a report of a negative pregnancy  
13 test or the patient has had a subsequent period.

14 DR. NIEBYL: In other words, that the monthly  
15 renewal of their prescription be dependent on either reporting  
16 of normal menses or a negative pregnancy test.

17 DR. SCHROETER: Then in tandem with that: ... will  
18 begin therapy every month only on the second or third day of  
19 the next normal menstrual period.

20 DR. BARBO: That is correct.

21 DR. SCHROETER: Because if you do not say that, it  
22 implies that it is in the initiation of the therapy.

23 DR. BARBO: I did not mean to exclude that.

24 DR. SCHROETER: I know you did not but I am  
25 bringing attention to it because it is important. Dr. Stern?

1 DR. STERN: I do not wish to address the issue of  
2 how the initial prescription should be given, but with respect  
3 to subsequent prescriptions after the initiation of therapy,  
4 assuring whether or not menses has occurred or there has been  
5 another negative pregnancy test will do nothing to reduce  
6 isotretinoin exposure or decision-making with respect to what  
7 should be done with those exposures.

8 I can understand the debate about screening before  
9 initiating therapy. But we have seen that even brief  
10 exposure, once it has started, can be accompanied by birth  
11 defects. The data are very clear on that point. Therefore,  
12 having the woman back, ensuring menses and repeat pregnancy  
13 tests will not reduce the incidence of isotretinoin exposure,  
14 except as it changes women's behavior in terms of going back.  
15 If they have been taking it for 28 days and they come back  
16 and you get a positive pregnancy test that day and they have  
17 been taking it up to that day, they have still been exposed.  
18 So only when you are starting therapy should you think about  
19 these strategies.

20 DR. NIEBYL: But they have only been exposed for  
21 the two weeks after conception.

22 DR. STERN: That is correct and there are data that  
23 show that that is accompanied by a substantial risk of a  
24 birth defect. That is sufficient exposure by our data.

25 DR. SCHROETER: Only one or two days of therapy,

1 actually, have been reported as producing --

2 DR. NIEBYL: Yes, but I would argue that that is  
3 not the correct timing. I do not argue that two or three  
4 days of therapy is bad but I would argue that it should be at  
5 least at the time of organogenesis. Maybe the individual  
6 from the Teratology Society can help me, but I would say that  
7 you would have to be within the critical period.

8 DR. ARMSTRONG: In fact, we have data on exposure  
9 during the first two weeks following the last missed --

10 DR. NIEBYL: Oh, following the missed menses, yes.

11 DR. ARMSTRONG: Well, actually at the time, and the  
12 risk is still 25 percent of having significant malformations  
13 even if the drug is stopped at the time of the expected next  
14 menses.

15 DR. STERN: Excuse me, you must remember that  
16 although the drug is relatively rapidly cleared, if you took  
17 it for two weeks from conception to expected next menses and  
18 stopped it, if you were so regular that you knew you were a  
19 day late, which, of course, is not typical but let's say,  
20 more likely, were four days late, and still had one week to  
21 clear it, you are now almost a month post-conception.

22 DR. NIEBYL: I guess the point is that it is still  
23 better to have taken it for five days than for five weeks.  
24 But maybe it is just as bad to take it for five days.

DR. SCHROETER: Dr. Barbo, does that change your

1 position on this?

2 DR. BARBO: No, I am trying to prevent the woman  
3 from getting it at all if she could be pregnant. That does  
4 not change it at all. I am trying to stop that very thing  
5 from happening.

6 DR. NIEBYL: No, but we are talking about the  
7 monthly pregnancy test business.

8 DR. BARBO: Well, if she does not have a period,  
9 you have at least caught it. True, you will not catch all of  
10 them but you have a better chance of her not getting pregnant  
11 in the next cycle. But it is not going to stop all of them.  
12 The first one is the most important.

13 DR. SCHROETER: Yes, we agree on that. But in  
14 subsequent menses if you do have a pregnancy test and it is  
15 positive, in 25 percent of the cases you have already caused  
16 birth defects.

17 DR. BARBO: I did not ask for a pregnancy test  
18 every month. I recognize some of that.

19 DR. NIEBYL: But the labeling does.

20 DR. SCHROETER: The labeling does and that is what  
21 I called your attention to.

22 DR. BARBO: I do not think it is happening though.

23 DR. NIEBYL: The argument then is that the pregnancy  
24 test should be done a week after conception. It should be  
25 done three weeks after the last period because at that point

1 if you stopped it, it would clear -- I mean, if you are going  
2 to do it once a month at all, you should do it earlier is  
3 what you are saying, at a time when you can really use an  
4 extremely sensitive test and perhaps avoid exposure in a  
5 critical period.

6 DR. ARMSTRONG: Our concern in asking about  
7 pregnancy testing is under a scenario in which that would  
8 increase the number of patients who were treated before  
9 starting therapy. If doctors could do a test in the office  
10 that would test a higher percentage of patients, we might  
11 identify some patients who are pregnant before they start  
12 their medication.

13 DR. NIEBYL: In other words, the patient who would  
14 refuse to get a blood test but would get a urine test?

15 DR. ARMSTRONG: Yes, you could ask a patient to  
16 provide a urine sample, measure that, get an answer immediate-  
17 ly and make a decision. Also the cost of a urine pregnancy  
18 test is substantially lower where that is a concern. In  
19 fact, there is a letter to the editor in a recent issue of  
20 Clinical Chemistry, by Dr. Immanuel Emancipator, I think,  
21 from NIH, suggesting that the current labeling for Accutane,  
22 specifically citing Accutane, was, in his opinion, not  
23 logical because urine pregnancy tests are much cheaper, much  
24 more easily obtained and might provide as much information.

25 He cites an earlier study that he had done where a

1 large number, nearly 1000, simultaneous urine and serum  
2 specimens were obtained. The urine specimens were tested by  
3 junior high school students in a university lab, with  
4 supervision but done by junior high school students, nonethe-  
5 less, and there was concordance in all but 4 instances. In  
6 the 4 instances, it turned out that in 3 cases the urine  
7 pregnancy test was accurate and the serum pregnancy test was  
8 incorrect, therefore, recommending that urine pregnancy tests  
9 would be a reasonable alternative assuming you are using  
10 monoclonal antibodies, an ELISA and a sensitive assay, and so  
11 on.

12 That was really the basis for our question. If  
13 that is a reasonable presumption, having that as an alter-  
14 native where this type of office urine pregnancy test might  
15 be done.

16 DR. HANEY: But the real question is not that.  
17 The real question is how many dermatologists will have urine  
18 tests in their office?

19 DR. ARMSTRONG: I think that is a realistic  
20 question. But if there is the option for some, whether it is  
21 one percent or ten percent --

22 DR. HANEY: No argument, but just say sensitive  
23 test. That is all you have to say. The doctor can choose if  
24 he wants to collect a urine sample in the office or get blood  
25 in the office and send it to a lab that will do it. I am