

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

PHARMACEUTICAL SCIENCE AND CLINICAL PHARMACOLOGY
ADVISORY COMMITTEE MEETING

Wednesday, May 15, 2017

12:24 p.m. to 4:05 p.m.

Afternoon Session

Omni Shoreham Hotel
2500 Calvert Street, N.W.
Washington, D.C.

1 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

2 **Jennifer Shepherd, RPh**

3 Division of Advisory Committee and Consultant
4 Management

5 Office of Executive Programs, CDER, FDA

6
7 **PHARMACEUTICAL SCIENCE AND CLINICAL PHARMACOLOGY**

8 **ADVISORY COMMITTEE MEMBERS (Voting)**

9 **Jeffery M. Carrico, PharmD, BCPS**

10 Director of Pharmacy, Investigational Drug Service

11 Member, Institutional Review Board

12 Florida Hospital System Department of Pharmacy

13 Orlando, Florida

14
15 **James C. Cloyd, PharmD**

16 Director, Center for Orphan Drug Research

17 Professor, Department of Experimental and Clinical
18 Pharmacology

19 McGuire Translational Research Facility

20 University of Minnesota

21 Minneapolis, Minnesota

22

1 **Tonglei Li, PhD** (*via phone*)

2 (*Afternoon Session Only*)

3 Allen Chao Chair and Professor, Associate Dean for
4 Graduate Programs

5 Purdue University, College of Pharmacy
6 West Lafayette, Indiana

7

8 **Patricia W. Slattum, PharmD, PhD, GCP**

9 Professor of Pharmacotherapy and Outcomes Science
10 Virginia Commonwealth University
11 Richmond, Virginia

12

13 **Duxin Sun, PhD**

14 Professor
15 University of Michigan
16 College of Pharmacy
17 Ann Arbor, Michigan

18

19

20

21

22

1 **Scott A. Waldman, MD, PhD**

2 *(Chairperson)*

3 Chairman and Professor

4 Departments of Pharmacology and Experimental

5 Therapeutics and Medicine

6 Thomas Jefferson University

7 Philadelphia, Pennsylvania

8

9 **PHARMACEUTICAL SCIENCE AND CLINICAL PHARMACOLOGY**

10 **ADVISORY COMMITTEE MEMBERS (Non-Voting)**

11 **Walid M. Awni, PhD**

12 *(Industry Representative)*

13 Vice President, Clinical Pharmacology and

14 Pharmacometrics

15 AbbVie

16 North Chicago, Illinois

17

18

19

20

21

22

1 **Jack A. Cook, PhD** *(via phone)*
2 *(Industry Representative)*
3 Vice President, Clinical Pharmacology
4 Global Product Development
5 Pfizer, Inc.
6 Groton, Connecticut

7
8 **Srini Tenjarla, PhD** *(via phone)*
9 *(Industry Representative)*
10 Vice President and Head of Global
11 Pharmaceutical Sciences
12 Shire
13 Lexington, Massachusetts

14
15 **TEMPORARY MEMBERS (Voting)**

16 **Bonnie Arkus, RN** *(via phone)*
17 *(Acting Consumer Representative)*
18 Executive Director
19 Women's Heart Foundation
20 Trenton, New Jersey

21
22

1 **Jessie L-S, Au, PharmD, PhD**

2 Chief Scientific Officer and CEO

3 Optimum Therapeutics, LLC

4 San Diego, California

5

6 **Jerry M. Collins, PhD**

7 Associate Director

8 Developmental Therapeutics Program

9 Division of Cancer Treatment and Diagnosis

10 National Cancer Institute

11 National Institutes of Health

12 Rockville, Maryland

13

14 **James E. Polli, PhD**

15 Professor and Ralph F. Shangraw/Noxell Endowed

16 Chair in Industrial Pharmacy and Pharmaceutics

17 Department of Pharmaceutical Sciences

18 University of Maryland School of Pharmacy

19 Baltimore, Maryland

20

21

22

1 **Dan M. Roden, MD**

2 Professor of Medicine, Pharmacology and
3 Biomedical Informatics
4 Director, Oates Institute for
5 Experimental Therapeutics,
6 Senior Vice President for Personalized Medicine
7 Vanderbilt University Medical Center
8 Nashville, Tennessee

9
10 **Alexander A. Vinks, PharmD, PhD, FCP**

11 Cincinnati Children's Research Foundation
12 Endowed Chair
13 Professor, Pediatrics & Pharmacology
14 University of Cincinnati, College of Medicine
15 Director, Division of Clinical Pharmacology
16 Scientific Director, Pharmacy Research in
17 Patient Services
18 Cincinnati Children's Hospital Medical Center
19 Cincinnati, Ohio

20
21
22

1 **Albert L. Waldo, MD, PhD** *(via phone)*

2 Professor of Medicine

3 Case Western Reserve University School of Medicine

4 Associate Chief of Cardiovascular Medicine for

5 Academic Affairs

6 University Hospitals Cleveland Medical Center

7 Cleveland, Ohio

8

9 **FDA PARTICIPANTS (Non-Voting)**

10 **Issam Zineh, PharmD, MPH**

11 Director

12 Office of Clinical Pharmacology (OCP)

13 Office of Translational Sciences (OTS)

14 CDER, FDA

15

16 **Kathleen Uhl, MD**

17 Director

18 Office of Generic Drugs (OGD), CDER, FDA

19

20

21

22

1 **Shiew-Mei Huang, PhD**

2 Deputy Director

3 OCP, OTS, CDER, FDA

4

5 **David Strauss, MD, PhD**

6 *(Afternoon Session Only)*

7 Director

8 Division of Applied Regulatory Science (DARS) OCP,

9 OTS, CDER, FDA

10

11 **Christine Garnett, PharmD**

12 *(Afternoon Session Only)*

13 Clinical Analyst and QT Lead

14 Division of Cardiovascular and Renal Products

15 Office of Drug Evaluation I, Office of New Drugs

16 CDER, FDA

17

18 **Zhihua Li, PhD**

19 *(Afternoon Session Only)*

20 Staff Fellow

21 DARS, OCP, OTS, CDER, FDA

22

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Scott Waldman, MD, PhD	12
5	Conflict of Interest Statement	
6	Jennifer Shepherd, RPh	14
7	Session II: Mechanistic Model-Informed	
8	Safety Evaluation: Comprehensive In Vitro	
9	Proarrhythmia Assay (CiPA) as an Example	
10	FDA Presentation	
11	Overview of the ICH E14 Guideline and its	
12	Implementation within FDA	
13	Christine Garnett, PharmD	19
14	Guest Speaker Presentations	
15	Goals of CiPA: The Comprehensive In Vitro	
16	Proarrhythmia Assay	
17	Gary Gintant, PhD	36
18	Background and Rationale for	
19	Mechanistic Cardiac Electrophysiology	
20	Models	
21	Gary Mirams, PhD	52
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	FDA Presentations	
4	CiPA In Silico Modeling Development	
5	Strategy and Results	
6	Zhihua Li, PhD	63
7	Phase 1 Electrocardiogram (ECG)	
8	Analysis under CiPA, Integration of	
9	All CiPA Components, and Potential	
10	Implementation Strategy	
11	David Strauss, MD, PhD	73
12	Clarifying Questions	86
13	Open Public Hearing	118
14	Questions to the Committee and Discussion	132
15	Concluding Remarks	
16	Kathleen Uhl, MD	178
17	Adjournment	192
18		
19		
20		
21		
22		

1 P R O C E E D I N G S

2 (12:24 p.m.)

3 **Call to Order**

4 **Introduction of Committee**

5 DR. WALDMAN: Ladies and gentlemen, we're
6 going to start the afternoon session.

7 Good afternoon. I would first like to
8 remind everyone to please silence your cell phones,
9 smartphones, and any other devices if you have not
10 already done so. I would also like to identify the
11 FDA press contact, Lauren Smith Dyer. If you are
12 present, please stand.

13 We're going to do introductions of the folks
14 that weren't here this morning, so if you were this
15 morning, you don't have to do another introduction.
16 So for the FDA folks actually who were not here
17 this morning, could you please introduce
18 yourselves?

19 DR. STRAUSS: David Strauss, director of
20 Division of Applied Regulatory Science in the
21 Office of Clinical Pharmacology at FDA.

22 DR. GARNETT: Hi. I'm Christine Garnett.

1 I'm a clinical analyst within the Division of
2 Cardiovascular Renal Products within OND.

3 DR. Z. LI: Zhihua Li, Division of Applied
4 Regulatory Science, Office of Clinical
5 Pharmacology.

6 DR. WALDMAN: Terrific. And we have a
7 telephone panelist who, I believe, didn't
8 participate this morning but is not yet on.

9 Is that true? Dr. Li is not yet on?

10 (No response.)

11 DR. WALDMAN: I'm guessing he's not yet on.
12 Okay. So let me read the preamble that I read this
13 morning.

14 For topics such as those being discussed at
15 today's meeting, there are often a variety of
16 opinions, some of which are quite strongly held.
17 Our goal is that today's meeting will be a fair and
18 open forum for discussion of these issues and that
19 individuals can express their views without
20 interruption. Thus, as a gentle reminder,
21 individuals will be allowed to speak into the
22 record only if recognized by the chairperson. We

1 look forward to a productive meeting.

2 In the spirit of the Federal Advisory
3 Committee Act and the Government in the Sunshine
4 Act, we ask that the advisory committee members
5 take care that their conversations about the topic
6 at hand take place in the open forum of the
7 meeting.

8 We are aware that members of the media are
9 anxious to speak with the FDA about these
10 proceedings. However, FDA will refrain from
11 discussing the details of this meeting with the
12 media until its conclusion. Also, the committee is
13 reminded to please refrain from discussing the
14 meeting topic during breaks or lunch. Thank you.

15 I'll now pass this to Lieutenant Commander
16 Jennifer Shepherd who will read the Conflict of
17 Interest Statement.

18 **Conflict of Interest Statement**

19 DR. SHEPHERD: Good afternoon. The Food and
20 Drug Administration is convening today's meeting of
21 the Pharmaceutical Science and Clinical
22 Pharmacology Advisory Committee under the authority

1 of the Federal Advisory Committee Act of 1972.
2 With the exception of the industry representatives,
3 all members and temporary voting members of the
4 committee are special government employees or
5 regular federal employees from other agencies and
6 are subject to federal conflict of interest laws
7 and regulations.

8 The following information on the status of
9 this committee's compliance with the federal ethics
10 and conflict of interest laws, covered by but not
11 limited to those found at 18 U.S.C., 208, is being
12 provided to participants in today's meeting and to
13 the public. FDA has determined that members and
14 temporary voting members of this committee are in
15 compliance with the federal ethics and conflict of
16 interest laws.

17 Under 18 U.S.C., Section 208, Congress has
18 authorized FDA to grant waivers to special
19 government employees and regular federal employees
20 who have potential financial conflicts when it is
21 determined that the agency's need for a special
22 government employee's services outweighs his or her

1 potential financial conflict of interest or when
2 the interest of a regular federal employee is not
3 so substantial as to be deemed likely to affect the
4 integrity of the services which the government may
5 expect from the employee.

6 Related to the discussions of today's
7 meeting, members and temporary voting members of
8 this committee have been screened for potential
9 financial conflicts of interest of their own, as
10 well as those imputed to them, including those of
11 their spouses or minor children and, for the
12 purposes of 18 U.S.C. Section 208, their employers.

13 These interests may include investments;
14 consulting; expert witness testimony;
15 contracts/grants/CRADAs; teaching/speaking/writing;
16 patents and royalties; and primary employment.

17 Today, the committee will discuss the use of
18 MIDD for new and generic drugs, which has
19 significantly increased over the past several
20 years. This afternoon's agenda includes
21 discussions of strategies, approaches, and
22 challenges in MIDD with specific focus on the

1 mechanistic model-informed safety evaluation with
2 the focus on drug potential for causing
3 arrhythmias. The comprehensive in vitro
4 proarrhythmia assay, or CiPA, will be discussed an
5 exemplar.

6 This is a particular matters meeting during
7 which general issues will be discussed. Based on
8 the agenda for today's meeting and all financial
9 interests reported by the committee members and
10 temporary voting members, no conflict of interest
11 waivers have been issued in connection with this
12 meeting. To ensure transparency, we encourage all
13 standing committee members and temporary voting
14 members to disclose any public statements that they
15 have made concerning the topic at issue.

16 With respect to FDA's invited industry
17 representatives, we would like to disclose that
18 Drs. Walid Awni, Jack Cook, and Srini Tenjarla are
19 participating in this meeting as non-voting
20 industry representatives acting on behalf of
21 regulated industry.

22 Drs. Awni, Cook, and Tenjarla's role at this

1 meeting is to represent industry in general and not
2 any particular company. Dr. Awni is employed by
3 AbbVie, Dr. Cook is employed by Pfizer, and
4 Dr. Tenjarla is employed by Shire Pharmaceuticals.

5 With regard to the FDA guest speakers, the
6 agency is determined that the information to be
7 provided is essential. The following interests are
8 being made public to allow the audience to
9 objectively evaluate any presentation in our
10 comments made.

11 Dr. Gary Mirams has acknowledged he is
12 principal investigator on an Oxford Systems
13 Approaches to Biomedical Science DPhil project and
14 coinvestigator on a Netherlands ZonMw grant. Guest
15 speakers will not participate in committee
16 deliberations, nor will they vote.

17 We would like to remind members and
18 temporary voting members that if the discussion
19 involves any other topics not already on the agenda
20 for which an FDA participant has a personal or
21 imputed financial interest, the participants need
22 to exclude themselves from such involvement, and

1 their exclusion will be noted for the record.

2 FDA encourages all other participants to
3 advise the committee of any financial relationships
4 that they may have regarding the topic that could
5 be affected by the committee's discussions. Thank
6 you.

7 DR. WALDMAN: Thank you. We'll now proceed
8 with the FDA and guest speaker presentations. We
9 have five presentations. We will begin with
10 Christine Garnett.

11 As she told you, she's clinical analyst and
12 QT lead, Division of Cardiovascular and Renal
13 Products, Office of Drug Evaluation I, Office of
14 New Drugs, CDER at the FDA. She's going to talk to
15 us, today, about overview of the ICH E14 guideline
16 and its implementation within FDA.

17 **FDA Presentation - Christine Garnett**

18 DR. GARNETT: Good afternoon. As you heard,
19 there will be five speakers in the session and as
20 the first speaker, the purpose of my presentation
21 is to go over the ICH E14 guideline and its current
22 implementation within the FDA.

1 The global focus on new drugs' ability to
2 prolong the QT interval and cause Torsade really
3 started in the 1990s when there were significant
4 number of marketed drugs that were removed from the
5 market because of drug-induced Torsade.

6 If you look at the time period, between the
7 1990s up to the mid-2000s, there were 38 drugs
8 removed from the market. These are noncardiac
9 drugs. Of those, greater than 20 percent of those
10 drugs were removed because they induced Torsades
11 postmarketing. What was disturbing to regulatory
12 agencies is the safety risk was not appreciated
13 premarketing.

14 As a response, the global community, under
15 the International Council of Harmonization, issued
16 two guidelines. The first guideline is the
17 nonclinical guideline; it's the ICH S7B. This
18 nonclinical guideline pretty much focuses in on the
19 drug's ability to inhibit one cardiac ion channel,
20 the hERG channel or that encodes the IKr current.
21 It also emphasizes looking at the ability of the
22 drug to prolong the QT interval in animal species.

1 The ICH E14 guideline is the clinical
2 guideline which I will be focusing on in my
3 presentation, and this guideline describes how to
4 clinically evaluate a drug's ability to prolong the
5 QT interval.

6 This guideline was first published in 2005,
7 and since its implementation within the regulatory
8 agency, it's been modified several times. The
9 modifications have come through the Q&A. So if you
10 go on to the ICH and you want to see the current
11 thinking and the current implementation of that
12 guideline, you'd have to go through the Q&A
13 document.

14 The E14 guideline pretty much is about
15 designing the conduct analysis and interpretation
16 of clinical studies to assess a drug's ability to
17 delay cardiac repolarization as measured by the QT
18 interval on the surface ECG.

19 Now, the centerpiece of this guideline is
20 the thorough QT study. And the thorough QT study
21 is typically designed as a randomized, placebo- and
22 positive-controlled study. It's typically done in

1 healthy volunteers with some drugs that might be
2 done directly in patients, but most of the time,
3 it's healthy volunteers.

4 The idea in the study is you were trying to
5 evaluate whether a drug has a threshold effect on
6 the QT interval at supratherapeutic doses. And the
7 reason why supratherapeutic doses are included in
8 this study is we want a dose that will cover
9 exposures, the high clinical exposures, to account
10 for if patients would be taking the drug and take
11 it with a metabolic inhibitor or have impaired
12 elimination organs. We want to understand what the
13 effects of that drug is at the high clinical
14 exposures on the QT interval.

15 The E14 defines a positive thorough QT study
16 as one where the upper bound of the maximum mean QT
17 effect exceeds 10 milliseconds. And the threshold
18 is pretty much, when they wrote the guideline, tied
19 in with the primary statistical analysis.

20 In this plot, what I'm showing is the
21 double-delta QTc. This is the QT interval that's
22 been corrected for both baseline and placebo over

1 time. At each time point, you get a mean and an
2 upper confidence interval. If that upper
3 confidence interval exceeds 10 milliseconds, that's
4 considered a positive study, and if that all
5 confidence intervals are below 10 milliseconds,
6 that's a negative study.

7 What does a positive study mean? Well, a
8 positive study means that a company who's
9 developing his drug has to collect more ECGs later
10 in development to understand the proarrhythmic risk
11 of that product directly on the patients. So this
12 10-millisecond threshold is only a regulatory
13 threshold. It does not mean the drug is
14 proarrhythmic; it does not mean that the drug is
15 dangerous.

16 It became really apparent when implementing
17 the E14 guidelines back in 2005 that
18 exposure-response relationship is very important to
19 interpreting in these studies.

20 In this plot, what I'm showing is if you
21 have a thorough QT study where the therapeutic
22 dose -- and the therapeutic dose would be one where

1 it would be the highest clinical dose that's going
2 to be moved forward into late phase development
3 The upper bound is below that 10 milliseconds, so
4 it's negative. However, at the supratherapeutic
5 dose, the dose that encompasses these high
6 exposures, it exceeds 10 and it's positive, how do
7 you interpret that?

8 What we do is when we look at an
9 exposure-response relationship, you could see then
10 you could start addressing clinical
11 pharmacology-relevant questions, and you can start
12 thinking about what is the prolongation in patients
13 at steady state. What happens in the patients that
14 their drug exposures is increased due to food, due
15 to drug interaction, or due to hepatic impairment.
16 And we can start addressing how to monitor in
17 certain patients who's at higher risk and how
18 eventually to label a product.

19 We started looking at exposure-response
20 pretty much right when the guidance was implemented
21 in 2005, and throughout the years, I think the
22 value of using this relationship within the

1 evaluation of QT interval, not just in the thorough
2 QT studies but other studies, was really apparent.
3 By 2015, we actually changed the guidance to be
4 able to use exposure-response modeling as the
5 primary endpoint in thorough QT studies.

6 I think the big significant effect of this
7 change in the guideline is that now, sponsors can
8 collect ECGs in their first in-human studies where
9 they're looking at the QT interval over a wide
10 range of doses, use exposure-response modeling
11 directly in that study, and use that study as a
12 substitute for a thorough QT study, and they
13 wouldn't have to do this confirmatory safety study
14 later in development.

15 Here, I'm just outlining what type of QT
16 evaluation typically occurs during drug development
17 programs. Nonclinically is pretty much following
18 the S7B guidelines.

19 In phase 1, sponsors can collect high
20 quality ECGs in that first in-human study over a
21 wide range of doses. And if that exposure margin
22 covers that suprathreshold dose or exceeds that

1 supratherapeutic dose, then that study may be able
2 to be used as a substitute for a thorough QT study.
3 If, however, a sponsor has to conduct a thorough QT
4 study that typically happens in phase 2 -- because
5 the purpose of understanding the drug effect on the
6 QT interval is actually to inform how much ECG
7 monitoring is needed in phase 3, and that could
8 come either from the thorough QT study or now, from
9 the phase 1 study.

10 In this slide, what I want to do is give an
11 idea of what the decision-making is around the
12 recommendations for monitoring in phase 3 after a
13 positive thorough QT study or after a positive
14 phase 1 study. Pretty much, the decision is based
15 on the magnitude of the QT prolongation interval at
16 therapeutic exposures.

17 In the first scenario, the mean double-delta
18 QTc at therapeutic exposures is less than 10.
19 However, the study is still positive. The drug is
20 still considered prolonged in the QT interval
21 because at that supratherapeutic dose, it exceeds
22 10.

1 If that suprathreshold dose provides a
2 sufficient exposure margin, such that patients
3 taking the clinical dose will never achieve those
4 high exposures, we consider that to be a negative
5 study, and no additional ECG monitoring would be
6 needed.

7 If, however, that high suprathreshold dose
8 reflects concentrations that may be in a specific
9 population, such as patients with renal impairment,
10 then the sponsor has the option to come in with
11 targeted dosing in patients who are at increased
12 risk.

13 Now, if the mean double-delta QTc at
14 therapeutic exposures is between 10 and
15 20 milliseconds, this pretty much always calls for
16 intensive monitoring in late-phase trials. And the
17 intensity of that monitoring will depend on the
18 pharmacological characteristics of the drug, the
19 magnitude, what patients that will receive the
20 drug, whether they have increased risk factors for
21 Torsade, as well as whether the drug also has other
22 adverse events such as the drug may cause

1 hyperkalemia, bradycardia that would increase a
2 patient's risk for Torsade.

3 Finally, in the last category, if a drug in
4 the thorough QT study has a very large effect on
5 the QT interval greater than 20, we consider that
6 probably a drug that does have proarrhythmic
7 potential, and the late-phase trials most always
8 have intensive monitoring, plus including risk
9 mitigation strategies for the patients.

10 Now, when we think about the safety, the
11 safety element that we really care about is
12 Torsade, but we're using QT prolongation as the
13 biomarker for Torsade. A lot of the decision-
14 making we make is based on the magnitude of the QT
15 prolongation as a surrogate for the potential for
16 Torsade. In this, I just want to give an idea of
17 our level of concern for Torsade based on that QT
18 interval.

19 Pretty much at the QT interval, it's small,
20 less than 10 milliseconds, the mean effect is in
21 therapeutic exposures, there's pretty much low
22 concern for a Torsade risk. There's an increase in

1 concern for Torsade risk as that QT interval
2 increases. So between 10 and 20 milliseconds is
3 the mean effect, we would consider how many
4 patients within the clinical trials had a very high
5 value such a QT greater than 500. This would
6 increase our concern for Torsade with or without
7 evidence of clinical adverse events.

8 Again, like I said in the previous side,
9 we're definitely concerned for a drug that has
10 Torsade if the QT interval prolongation and the
11 mean effect would be greater than 20 milliseconds.
12 Our concern for a Torsade risk is what we
13 communicate within the package insert or the label
14 of products.

15 I'm going to show you, just to give an idea,
16 of what we communicate for three types of drugs.
17 For my first example is for vandetanib. Vandetanib
18 is a drug that's been approved for medullary
19 thyroid cancer.

20 During clinical development, it was shown
21 that the mean effect on the QT interval was over
22 20 milliseconds. There were Torsade events, as

1 well as sudden death in the clinical trials.

2 That safety risk, the risk for Torsade and
3 sudden death, are very much communicated within the
4 labeling, including the box warning for these
5 adverse events. And I list in the slide all the
6 different labeling sections where the QT is
7 described. The label also has quite a bit of risk
8 mitigation strategies included to minimize a
9 patient's risk for developing the arrhythmia.

10 In my next two slides, these are going to be
11 drugs that are positive, but their effect size are
12 lower than what we saw for vandetanib.

13 The first one is paliperidone. Paliperidone
14 is an antipsychotic, and in the thorough QT study,
15 the mean effect size was between 10 and 19. And
16 actually, it was closer to the 10 milliseconds.

17 In the label, they did get warnings and
18 precautions. For QT prolongation, they had QT
19 prolongation labeled in the adverse reactions
20 because we didn't want the drug to be given with
21 other QT prolonging drugs. And it described an
22 overdose case of QT prolongation and Torsade in the

1 overdosage.

2 Last example is for tetrabenazine.

3 Tetrabenazine, actually, the mean effect size was
4 less than 10, but there was concern that in some
5 patients, especially patients who are 2D6 poor
6 metabolizers or taking a 2D6 inhibitor, they would
7 have higher exposures.

8 This drug was also labeled with warnings and
9 precautions. In this case, it was mainly, do not
10 administer with other QT prolonging drugs. The
11 same type of warning was also in the drug
12 interaction section, as well as a study, the TQT
13 study described in pharmacodynamics section.

14 When the FDA first implemented the E14
15 guidelines back in 2005, actually, what they did is
16 they set up an integrated team to look at all QT
17 studies coming in all across CDER. So we have one
18 centralized review team, and it's called the IRT.
19 And it pulls in from multiple disciplines,
20 including clinical pharmacologists, clinical
21 statisticians, we have data managers, and project
22 managers.

1 The idea is that anything that's related to
2 QT, whether it's the protocol, a meeting package, a
3 report, it would come to the centralized team. And
4 they would review it and provide advice back out to
5 the review divisions.

6 The team is also very active in monitoring
7 the ECG warehouse. Part of the review would be the
8 sponsors would submit their digital waveforms from
9 these clinical trials into a repository, which is
10 the ECG warehouse, and the IRT would also monitor
11 the waveforms during regulatory review.

12 Now, the IRT I think was quite unique in the
13 setup within CDER because they pretty much use the
14 knowledge management type of system to be able not
15 only to do regulatory review, but also to advance
16 the science for QT evaluation. And I see this type
17 of model being very applicable to CiPA and how CiPA
18 can also be implemented within the agency.

19 Pretty much, what's happening is when we
20 wanted to implement this new guidance, which would
21 be E14, CDER developed this interdisciplinary
22 review team to develop the science. This team

1 works with outside collaborators to understand what
2 the issues are. And then they also manage the
3 technology. They developed scientific standards.
4 They developed the databases. They have a database
5 of all the thorough QT studies that come into the
6 agency. They have review templates, as well as
7 analysis tools to increase the efficiency of
8 review.

9 Then they're very open to sharing what they
10 have with the public through public meetings and
11 presentations. And then by collaborating with
12 these external stakeholders, then they could find
13 other areas of interest, increase the science. And
14 this is how we've gotten to quite a bit of
15 innovation within this team in terms of study
16 designs and analysis approaches.

17 In summary, the main points that I wanted
18 just to remind the committee of, from my
19 presentation, is that all new drugs undergo
20 clinical evaluation to assess the effects on the QT
21 interval. This can be done either on a thorough QT
22 study, and now it can be assessed in a phase 1

1 study with the exposure-response modeling.

2 The magnitude of QT prolongation is
3 really -- therapeutic exposure is what influences
4 the late-stage monitoring. And the labels for
5 drugs that prolong the QT interval are just really
6 based on the agency's concern for the Torsade risk.
7 And that's pretty much based also on the extended
8 QT prolongation, the presence of other clinical AEs
9 and factors that could modify the Torsade risk in
10 patients.

11 Finally, the centralized review team, which
12 I think is very -- CiPA could borrow that type of
13 model, was really, really important for
14 implementing E14. It has facilitated and managed
15 innovation and organizational learning within the
16 FDA.

17 Just to conclude, I just wanted the
18 committee to think about that there is room for
19 improvement in this QT evaluation. Overall, I
20 think there's a general consensus that E14 is
21 working. There have been no increases in the
22 number of Torsade events with the approval of new

1 drugs since the implementation in 2005, and there's
2 been no new drug that's been removed from the
3 market because of Torsade since the implementation
4 in 2005.

5 Where I see there is room for improvement is
6 pretty much being able to do a better job at
7 labeling. This is what's diagramed in the study,
8 in this figure.

9 In this figure, what I looked at were about
10 300 thorough QT studies for non-oncology drugs over
11 the last 10 years. Of those 300 studies, 39 of
12 those were drugs that were approved with the
13 positive thorough QT study; 22 of those were
14 labeled with warnings and precautions, and of
15 those, only 8 actually caused Torsade.

16 So I see where CiPA comes into this is being
17 able to differentiate drugs that prolong the QT and
18 cause Torsade like we saw for vandetanib versus
19 drugs that prolong the QT and don't cause Torsade
20 such as ranolazine. That's where I see where CiPA
21 would really be helpful within this paradigm.
22 Thank you.

1 DR. WALDMAN: Thank you very much.

2 (Applause.)

3 DR. WALDMAN: Our next presenter is
4 Gary Gintant, senior research fellow, Department of
5 Integrative Pharmacology at AbbVie. He's going to
6 talk to us today about Goals of CiPA: The
7 Comprehensive In Vitro Proarrhythmia Assay.

8 **Guest Speaker Presentation - Gary Gintant**

9 DR. GINTANT: Okay. Well, good afternoon,
10 everyone. The title of the talk, Goals of CiPA.
11 My goal in the presentation is to provide you some
12 background so that you understand some of the
13 electrophysiology, the underpinnings of CiPA, as
14 well as discuss briefly some of the unintended
15 consequences of the focus on hERG and IKr current
16 that resulted from the S7B type of guidance,
17 thinking along those lines; then the majority of my
18 talk to describe CiPA, its goals and the individual
19 components within the CiPA initiative; and then
20 finally some ongoing efforts within CiPA itself.

21 We'll start with some very basic
22 electrophysiology. The focus here is on

1 repolarization, cardiac repolarization, which
2 represents the integration of multiple inward and
3 outward currents that define what's called the
4 action potential duration here, the waveform of the
5 electrical activity recorded from a single
6 ventricular cell within the myocardium.

7 Of course, it's the integration of the
8 cellular activity that defines the QT interval on
9 the ECG and delayed repolarization in QT
10 prolongation that Christine was talking about.

11 We have drugs, certainly, that will decrease
12 in that outward current, and it's really net
13 outward current that defines the extent of
14 polarization and the delays in repolarization of
15 the drugs. And certainly, by reducing an outward
16 current, we see delayed repolarization both on the
17 cellular level, as well as on the ECG. From this,
18 we understand quite thoroughly the cellular
19 mechanism that caused delayed repolarization that
20 lead to proarrhythmia, including the Torsade with
21 the example.

22 Looking under the hood a little bit more

1 closely, here again, we have an action potential.
2 And one must recognize that the action potential is
3 the sum or the symphony of multiple de- and
4 repolarizing currents that is repeated with each
5 cycle, with each heartbeat.

6 Here are the some of the predominant
7 currents listed here. Inward currents are
8 downward. Outward repolarizing currents are upward
9 in the traces. One can recognize that hERG or IKr
10 current circled is one prominent repolarizing
11 current. And obviously, block of hERG is
12 associated with delayed repolarization.

13 However, more well-appreciated, that block
14 of hERG alone is insufficient to predict delayed
15 repolarization because, indeed, it's the net sum of
16 the multiple currents that define the shape and the
17 duration of repolarization. And this is one of the
18 things that CiPA explores as part of the paradigm.

19 This represents a bit of a change from the
20 ICH S7B, which really was what I call a hERG-
21 centric approach in which there was much emphasis
22 placed on analysis of only one ion of current, the

1 IKr or hERG current.

2 As Christine mentioned, ICH S7B, along with
3 E14 was successful. No drugs have been removed
4 from the market due to Torsade since 2003.
5 However, again, hERG is not very predictive of QTc
6 effects itself for a surrogate marker for
7 proarrhythmia.

8 hERG is very convenient in the fact that
9 during drug discovery, a finding of hERG block or
10 IKr block with early drug candidates often
11 discourages compound progression, and it's been
12 referred to as throwing baby out with the bath
13 water. People will maybe leave the promising
14 compounds on the bench and move to other ones if
15 they don't have this IKr or hERG blocking effect.

16 This triggers additional costs for new
17 synthetic efforts to remove the hERG liability, as
18 well as slowing efforts towards drug discoveries.
19 And it's been argued that some drugs that are on
20 the market today may not have been available if the
21 hERG assay results were enforced early on, for
22 example, pentobarbital, verapamil, and ranolazine.

1 Then one last thing to consider before I
2 move on to the components, we know more, or we have
3 a greater appreciation for what constitutes or what
4 defines repolarization. Now, with the advent of
5 automated patch techniques and platforms, we now
6 understand that drugs not only affect hERG current
7 but other cardiac currents, as well.

8 I'll just talk about the pie chart here, and
9 this pie chart compares for 55 compounds, the
10 potency of a drug to block hERG current, which is
11 red, the drugs that block hERG current more potent
12 versus the hERG drugs that block calcium current.

13 You can see that some 66, 67 percent of the
14 drugs are equal potent or more potent to block the
15 L-type calcium current and inward repolarization
16 current during the plateau as compared to blocking
17 hERG in outward or competing current that helps to
18 define repolarization. And this provides or gives
19 evidence for meeting a more comprehensive
20 mechanism-based assessment of integrated effects of
21 drugs on repolarization.

22 Now, we get to CiPA. The goal of CiPA is to

1 develop a new in vitro paradigm for cardiac safety
2 evaluation of new drugs that provides a more
3 accurate and comprehensive mechanistic-based
4 assessment of proarrhythmic potential. The focus
5 is on proarrhythmia, not simply QT prolongation to
6 improve specificity compared to preclinical hERG
7 and clinical QT studies.

8 How this is done is to define drug effects
9 on multiple human cardiac currents, characterize
10 with models effects on human ventricular
11 electrophysiology, and then verify effects using
12 human stem cell-derived ventricular myocytes along
13 with QT evaluations.

14 These four components are illustrated
15 graphically on this slide here. We start with
16 looking at effects of drugs on ionic currents,
17 expressed in heterologous expression systems.
18 Again, these are human currents.

19 We take this data and use them as input to
20 in silico reconstructions of human ventricular
21 electrophysiology to define the extent of
22 repolarization to look for these interruptions in

1 repolarization called early-after repolarization,
2 which have been linked to Torsade. These two
3 components are used to characterized or classify
4 drug effects.

5 Then we move on to the right two panels, the
6 purpose of which are to check for missed or
7 unanticipated effects. Here, we're looking at
8 effects on human stem cell-derived ventricular
9 myocytes, and then finally looking for
10 unanticipated electrophysiology based upon clinical
11 evaluation of ECG.

12 I'll go through each of these in turn.
13 First, drug effects on human ionic currents, this
14 is the work of the Ion Channel Working Group, whose
15 goal is to characterize the effects of drugs on
16 7 prominent ionic currents that are recognized to
17 play a prominent role in defining repolarization.
18 So we have 3 repolarizing currents and 4
19 repolarizing currents in red and in green
20 respectively.

21 Part of the task here is demonstrate that
22 one can reliably and reproducibly characterize

1 block of these ionic currents and heterologous
2 expressions. We're using automated patch platforms
3 for higher throughput and reducing the variability.
4 Again, these 7 currents that are listed here were
5 selected based on the experience of academia,
6 industry, and regulators.

7 Right now, there's an ongoing high
8 throughput study with the Ion Channel Working Group
9 to assess the variability and reproducibility of
10 these high throughput screening platforms for
11 defining the drug effects; looking for differences
12 across and between platforms and between sites
13 using standardized protocols and standardized
14 concentrations for the drugs tested.

15 We're generating key ion channel data, IC50
16 values for current block predominantly for the
17 calibration and validation of reconstructions by
18 In Silico Working Group. And then the hERG current
19 response are going to be shared with the In Silico
20 Working Group for further characterization and
21 modeling of IKr block kinetics.

22 In phase 1, we're looking at data set and a

1 calibration set of 12 drugs. That's due, actually,
2 the end of first quarter of this year. And then
3 we'll follow up with a phase 2 with a blinded
4 validation set of 16 additional compounds. We'll
5 have a total data set of 28 compounds.

6 Moving on to the In Silico Working Group,
7 the goals of this group are to define a
8 proarrhythmic risk metric based upon drug effects
9 using this in silico model of a human ventricular
10 cardiomyocyte.

11 This metric has to be
12 mechanistically-related to the cellular
13 proarrhythmia effects and experimentally
14 verifiable. The model that's being used is the
15 O'Hara-Rudy model selected by experts, and this
16 model was based upon experimental data derived from
17 data from human tissues. The hERG or IKr current
18 has been modified with a Markov model to add a
19 greater validity to the model.

20 The data from the human ionic current assays
21 will be used in the model to assess the relative
22 Torsade risk, and the idea is to be able to

1 separate these 28 reference drugs into three
2 distinct categories: high, intermediate, and
3 low/no risk proarrhythmia, normalized on clinical
4 exposures. And the results will be compared to
5 these clinically-assigned risk categories. Gary
6 Mirams and Zhihua Li will talk about this in the
7 next few presentations.

8 There were 28 drugs, which we refer to as
9 CiPA 28, are listed here. We have, in red, the
10 high Torsade risk compounds of which there are 8,
11 then I think there are 10 for the intermediate and
12 the remaining low Torsade risk.

13 These compounds, they are drugs that were
14 assigned based upon the work of the Clinical
15 Translational Working Group and based upon their
16 experience with these drugs, reports in the AERS
17 database, other publications. These will be the
18 gold standard by which we will compare the CiPA
19 results.

20 The next group is the group that's
21 evaluating drug effects on stem cell-derived human
22 cardiomyocytes. This is the CiPA-HESI Myocyte

1 Working Group. The role of this group is to
2 identify potential gaps in cellular
3 electrophysiologic effects not detected from the
4 ionic currents or in silico reconstructions that
5 may impact the Torsade risk assessment.

6 It relies upon the ability of these myocytes
7 to recapitulate the integrated effects of those
8 systems that influence the drug electrophysiologic
9 effects found in the myocytes.

10 Here, we're talking about some things that
11 the channels may have missed, the Ion Channel
12 Working Group may have missed: modulation of the
13 channels or the currents by receptors or second
14 messengers; additional transporters that aren't in
15 the model itself, as well as exchangers; maybe some
16 calcium dysregulation.

17 How the group will do this is to report on
18 drug-induced repolarization abnormalities in these
19 human stem cell-derived cardiomyocytes.

20 Electrically, the studies are using high throughput
21 techniques using either multi-electrode array
22 approach, by recording the extracellular field

1 potential from cells at the bottom of wells in,
2 again, high throughput platforms or voltage-sensing
3 dyes.

4 Here, we see prolongation of the field
5 potential duration with increasing concentrations
6 as the QT prolonging drug, cisapride, and here,
7 prolongation, with the beginning of an early-after
8 depolarization with the IKr blocking drug, E-4031.

9 Some other indices that are also being
10 measured are changes in rates, spike amplitude;
11 again, the appearance of these early-after
12 depolarization is recognized as triggers for
13 Torsades proarrhythmia. Here's another example of
14 what an EAD looks like from a micro-electrode
15 recording, and it's thought that these give rise to
16 the Torsade.

17 A pilot study was completed a little over a
18 year ago maybe. The goal of this was to evaluate
19 the ability of these myocytes to detect
20 electrophysiologic effects. It was a 12-site pilot
21 study with two commercial cell lines with 8 blinded
22 drugs, using the two approaches I mentioned.

1 Four of the compounds were specific to
2 specific ion currents, sodium current, L-type
3 calcium current, IKr, and then another potassium
4 channel IKs, and then 4 test compounds representing
5 the high, intermediate, and low-risk categories.

6 At the bottom here, we see graphs before the
7 compound showing percent changes in field potential
8 duration corrected for changes in heart rate. One
9 sees, with increasing concentrations, what one
10 would expect: increases in the duration of the
11 field potentials with moxifloxacin, with
12 flecainide, as well as with quinidine, although the
13 effects were more variable with quinidine, and a
14 decrease in the field potential with nifedipine.

15 This gave us encouragement to go forward
16 with a validation study, which is ongoing, again
17 using the same techniques and funded by a Broad
18 Area Announcement Award from the FDA.

19 Here, we have our core group evaluating a
20 blinded 28 CiPA drug set with high, intermediate,
21 and low risk across the two different cells and the
22 two different platforms.

1 Again, the focus is on delayed
2 repolarization and cellular proarrhythmia, EADs,
3 where there is, of course, input from industry,
4 cell platform providers, academics, and the
5 intermediate results since the drugs are still
6 blinded. But they will be unblinded. In April of
7 2017, there's going to be a meeting of all those
8 involved.

9 The last component of CiPA is the phase 4.
10 This is the ECG Biomarker Working Group headed by
11 the FDA, as well as the Cardiac Safety Research
12 Consortium. The goal, again, is to detect
13 unexpected electrophysiologic effects, but in this
14 case now, we're looking for clinical effects
15 compared to the preclinical data expectations from
16 the ion channel combined with in the in silico
17 reconstructions and the human-derived
18 cardiomyocytes.

19 Here, one might pick up human-specific
20 metabolites, effects of protein binding, maybe
21 particularly CNS/cardiovascular effects. But
22 again, you've moved up now. You're looking at the

1 highest level of an integrated response certainly
2 in the humans and in the clinic.

3 Besides evaluating for early in phase 1
4 studies, QT prolongation with more extensive
5 exposure-response modeling than may have been done
6 in the last past five years or so, the idea is also
7 to look for changes in the QT morphology, which may
8 reflect a different mechanism by which QT can be
9 prolonged; for example, whether it's simply a hERG
10 blocker or whether it's a mixed channel blocker
11 based upon, for example, the J or Tpeak, or Tpeak
12 to Tend differences in different parts of the QT
13 waveform. More details on this will be provided by
14 David Strauss.

15 In summary, CiPA is a proarrhythmic risk
16 assessment based upon a mechanistic understanding
17 of integrated cellular-emergent drug effects on
18 multiple human cardiac currents. The present
19 expectations of CiPA is that it will reduce
20 unwarranted attrition of early drug candidates,
21 sending more drugs to early clinical phase 1
22 trials, enable rapid progression of lower risk

1 Torsade-type drugs due to phase 1 studies, and also
2 eliminate the need for a thorough QT studies in a
3 later drug development.

4 With regard to the stem cells -- and I'll
5 mention future expectation -- the present certainly
6 is to identify potential gaps in the cellular
7 electrophysiologic effects of drugs not detected
8 from the ionic current and cellular reconstructions
9 that may impact the risk.

10 In the future, as these cells get better,
11 they may eventually replace the in silico or
12 computer reconstructions. They'll be our
13 biological integrator, and they'll also replace,
14 for the most part, dedicated animal studies because
15 we will be dealing with the intact human systems in
16 a dish.

17 With that, the acknowledgements, this has
18 been a volunteer effort from multiple sources:
19 Health and Environmental Sciences research, HESI;
20 CSRC; the Safety Pharmacology Society; a number of
21 global regulatory agencies that have been involved
22 listed here; as well as the numerous contributions

1 from industry, academics, contract research
2 organizations, and multiple academic groups.

3 With that, I thank you for your attention.
4 The coin here is a Roman coin in recognition of the
5 Ides of March, which is today. And I turn it over
6 to the next presentation, which is Gary Mirams
7 here. Thank you.

8 DR. WALDMAN: Thank you very much.

9 (Applause.)

10 DR. WALDMAN: Our next presenter will be
11 Gary Mirams, Sir Henry Dale Fellow, Centre for
12 Mathematical Medicine and Biology, University of
13 Nottingham, the UK. And he's going to speak to us
14 today about the Background and Rationale for
15 Mechanistic Cardiac Electrophysiology Models.

16 Dr. Mirams?

17 **Guest Speaker Presentation - Gary Mirams**

18 DR. MIRAMS: Thank you. Good afternoon.
19 This talk is a quick introduction to these models,
20 and why they've become a central part of the CiPA
21 initiative, and why we think that's going to be a
22 good idea for predicting arrhythmic risk.

1 Why use these mathematical models at all?
2 I'll start with a couple of quotes that kind of
3 explain it. "Mathematical Models in analytical
4 pharmacology are not meant to be descriptions,
5 pathetic descriptions, of nature. They're designed
6 to be accurate descriptions of our pathetic
7 thinking about nature."

8 The model is not supposed to be perfect, but
9 it is supposed to be a perfect representation of
10 how we think things work.

11 "They're meant to expose assumptions, define
12 expectations, and help us devise new tests." In
13 the context of CiPA, defining expectations is the
14 crucial thing. I'll explain a bit more about that
15 in a second.

16 Another one from John von Neumann, "If
17 people do not believe that mathematics is simple,
18 it is only because they do not realize how
19 complicated life is." These mathematical models
20 are not a really complicated way of doing this.
21 There are actual a very simple way of looking at
22 it.

1 Just to motivate why we're thinking about
2 biophysical models, rather than statistical models,
3 which you might be more familiar with, a little
4 thought experiment.

5 Imagine dropping a 1-kilogram mass from
6 between 8 or up to 12 meters off a tower, timing
7 how long it takes to fall to the ground. You might
8 get some data that looks like this. The higher up
9 it is, the longer it takes to fall to the ground.

10 Then the statistician would put a nice red
11 line through that, and that would be perfectly good
12 if we were only going to be interpolating between
13 8 and 12 meters. We can just use this straight red
14 line, and that's fine.

15 But if we extrapolate a bit further out, we
16 might get some clues that this isn't a brilliant
17 idea. Here, I just extrapolated that red line out
18 from naught to 20 meters, and suddenly we find that
19 the drop from naught meters actually takes quite a
20 while.

21 What's gone wrong here? Well, if we
22 actually had a hypothesis for the underlying

1 processes, in this case just acceleration due to
2 gravity, we can make a physical model for what's
3 happening. Here, it would just end up being this
4 half GT-squared, and we'd get a line that looks
5 more like this.

6 So because we captured some of the physics
7 of this situation, this would be reliable for
8 extrapolation outside where we've trained the
9 model. This is the crucial benefit of using a
10 physical-based model, rather than a statistical
11 line of best-fit kind of model.

12 But it's also worth pointing out, at this
13 juncture, that there are still things we've ignored
14 here. There's air resistance that would actually
15 change this red line a little bit. It might not be
16 perfect so the air resistance would change, and
17 we'd end up being slightly off the red line. We'd
18 still be a lot closer than using this physical
19 model than we would using a statistical one.

20 We'll get on to the fact that CiPA has, in
21 effect, ignored air resistance, but hopefully
22 captured the acceleration due to gravity kind of a

1 concept here.

2 This is one-slide introduction to
3 electrophysiology modeling. At the lowest level,
4 we have this voltage-gated ion channels. We
5 typically have these kind of models with close
6 states, open states, inactive states. The
7 transitions between these are voltage-dependent, so
8 as the voltage changes, the probability of the
9 channels being open changes.

10 At the cell level, we model the cell as
11 simply a capacitor. This equation just says the
12 voltage changes according to the capacitance of the
13 cell membrane and the sum of currents going across
14 the membrane.

15 In a typical model, there would be lots of
16 different kinds of ion channels, all adding up to
17 show you how the voltage changes across the cell
18 membrane. The interesting thing here is that the
19 voltage depends on the current, and the currents
20 depend on the voltage. It's all in one big
21 nonlinear feedback system. And this is why a
22 mathematical model is more helpful than just

1 thinking through what might happen in your head.

2 These models can be taken up to larger
3 spatial scales. We can plug in diffusion of charge
4 spatially and get these models like this one of
5 two-dimensional or even up into three-dimensional
6 all-body simulations now. These simulations might
7 need a super computer. The ones at the cell level
8 will be faster than real time on your laptop.

9 Again, it's worth saying here why CiPA is
10 not going to try and do a whole organ, whole body
11 simulation. Even if we've got perfectly realistic
12 geometry, cell properties, and all the rest of it,
13 these are only going at real time at the moment.
14 And if we actually were simulating Torsade, we
15 might have to simulate for 10,000 patient-months,
16 years. And we'd have to do 10,000 years of
17 simulations if we're going to do a perfectly
18 realistic simulator. So we're always going to be
19 looking for a marker for in the simulation, rather
20 than trying to reproduce exactly what reality is
21 doing.

22 Now, I'll just explain what the

1 cellular-level models look like. These started
2 with Hodgkin & Huxley in 1952 with just these two-
3 ion currents, sodium and potassium, and a small
4 leak current. This was the first biophysical model
5 of membrane excitability. It incredibly well-
6 captured what the voltage waveform looks like, and
7 that emerged out of the properties of the currents.

8 This was applied and adapted to cardiac
9 cells by Denis Noble in the late '50s and published
10 in 1960. These models have a very long history.
11 Ever since, they've been intertwined with
12 experiments. The models and experiments have
13 really gone hand-in-hand as we learn more and more
14 about cardiac electrophysiology.

15 Forward to 1991, this is the Luo-Rudy model.
16 You can see it's still only got 6 currents in it,
17 and it's still fairly simple. This is of limited
18 use for drug action because we don't have
19 individual ion channels tied to ion currents as all
20 of the potassium currents are lumped into one
21 description here.

22 Just go forward seven years, and by the

1 1998, the molecular biology revolution has come
2 along, and we can now start to associate individual
3 ionic currents with individual ion channel
4 proteins. This model now includes separate IKr and
5 IKs, for instance.

6 Forward to the modern day, this is what the
7 models look like now. They have the intracellular
8 calcium store, a sub-space in the membrane, and
9 about 20 different ion currents.

10 This is the model that CiPA is based on at
11 the moment, the O'Hara-Rudy model, which was almost
12 entirely reformulated based on human data rather
13 than animal data.

14 Why are these models going to be of any
15 help? Well, it's all to do with putting together
16 these multiple ion channel effects and predicting
17 the overall effect on cellular electrophysiology,
18 which is not something that's easy to do in your
19 head.

20 Here's a simulation. If we say verapamil
21 only blocks hERG, simulate at higher and higher
22 concentrations, we'd get prolongation of the action

1 potential. If we say it blocks hERG but also
2 calcium, then we get completely the opposite
3 effect. And the simulation allows us to vary the
4 degree of block and see what the consequences would
5 be at the cellular level.

6 In CiPA, this is the basic idea that we get
7 the patch clamp data, ideally from automated patch
8 machines or up to these 7 currents. We use these
9 as inputs into the mathematical model, the
10 in silico reconstruction.

11 We then run simulations and work out a
12 metric that classifies the level of Torsade risk,
13 and Zhihua Li is going to talk about that next.
14 Then we can check predictions, again, to the stem
15 cells. So this is an important part, that we work
16 out what we think the drug does to the ion
17 channels, see what the consequences of that will be
18 in a mathematical model, and then we compare those
19 predictions from the model with the stem cells.

20 This step is important because if we're
21 missing something crucial, for instance, the drug
22 blocks another ion channel that wasn't screened, or

1 the drug interferes with trafficking, or something
2 more complicated, we need to pick up that there's a
3 mismatch, and go back and see if we can work out
4 what's happening.

5 This flowchart will look something like
6 this. You'll have the screening panel, put it into
7 an actual potential model, run simulations at
8 different concentrations, work out how the risk
9 varies depending on concentration, and then compare
10 that with any later safety tests, be they stem
11 cells, later in vivo preclinical things that the
12 pharmaceutical companies are already doing, or the
13 phase 1 ECG.

14 Ideally, by the end of this process, we not
15 only understand what the QT action is, we
16 understand why we get that QT action. We,
17 therefore, have more confidence in associating
18 proarrhythmic risk.

19 In terms of the validation plan, at the
20 moment, the In Silico Working Group has been using
21 the data on 12 drugs, check that they can get the
22 proarrhythmic marker, which separates the risk

1 profiles. And in the next phase, which will be
2 coming along this year, they'll try and use 16
3 drugs, as Gary Gintant explained, and see how well
4 the model predicts their risk categories.

5 That's it for me, just acknowledgments from
6 the University of Oxford where all these people
7 have helped with my thinking on this subject over
8 the years, and a few resources down the bottom
9 there that are open-source tools to let you play
10 with these models and see what they do under drug
11 action.

12 I'll now be handing over to Zhihua Li, who
13 will tell you more about what the In Silico Working
14 Group has been doing to develop the proarrhythmic
15 risk markers.

16 (Applause.)

17 DR. WALDMAN: Thank you. Our next speaker
18 is Zhihua Li. He's a staff fellow at the Division
19 of Applied Regulatory Sciences at CDER at FDA. And
20 as Gary said, he's going to speak to us about CiPA
21 In Silico Modeling Development Strategy and
22 Results. Dr. Li?

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FDA Presentation - Zhihua Li

DR. Z. LI: Thanks. Thanks, everyone, for coming. Today, I would like to give a brief overview of the In Silico Model Development Strategy and Results.

As mentioned earlier, the O'Hara-Rudy cardiomyocyte model was chosen as the consensus base model for CiPA. The model development was divided into two stages: a training or collaboration stage where we used 12 drugs to train the model and another validation stage where we used 16 drugs for independent validation.

The model development for CiPA identifies a mechanistic metric that's related to early-after depolarization, or EAD, which is the cellular basis for Torsade, rather than action potential prolongation, which is for QT prolongation.

Now, here this is the 28 drugs tested by CiPA. They are categorized into three categories: high, intermediate, and low. Twelve of them are for training; they are shown on top. The remaining 16 are for validation. Right now, we have finished

1 the training stage, so today, all the data I'm
2 going to present is going to be about the 12
3 training drugs.

4 This is the diagram of the basic CiPA model,
5 O'Hara-Rudy. It has different components, each
6 component representing a different ion channel, ion
7 current. Several of them are selected by CiPA to
8 focus on, and they are labeled by the red bars.

9 Now, after we took the base, the
10 cardiomyocyte model, we made several improvements
11 so that it can be used for CiPA. We first made the
12 hERG or IKr component temperature-dependent. We
13 then introduced drug-hERG interactions, and we
14 optimized model parameters based on experiments.

15 In the next few slides, I'm going to give
16 some details about the three improvements. First,
17 temperature, because O'Hara-Rudy model operates at
18 a physiological temperature, while the industry
19 generates the hERG data, O'Hara-Rudy obtains at
20 room temperature. A dynamic temperature-dependent
21 hERG model is required.

22 For that, we developed a modified hERG model

1 that can reproduce temperature-dependent changes in
2 major channel gating processes. This work was
3 published last year.

4 The second improvement is dynamic drug-hERG
5 interaction. Again, the rationale is because the
6 same drug may show different block potency under
7 different conditions, for example, under different
8 heart rates. A novel model was developed to
9 capture this dynamic drug-hERG interaction.

10 Shown above is the simplified diagram of
11 this model. For time limits, I'm not go to into
12 details. The left part is the hERG model, and we
13 see drugs can bind to two sites or two states of
14 the model, resulting two drug-bound states when
15 channels open. When channel is closed, these two
16 states can collapse into one state, which means a
17 drug can be trapped within the closed hERG channel.

18 This trapping phenotype, represented by the
19 red arrows, is often overlooked by published hERG
20 models, but it turned out to be very important for
21 many high Torsade risk drugs.

22 The third improvement was optimizing model

1 parameters using experimental data. Here, I'm
2 using one piece of data as an example. We show
3 here the human cardiomyocyte action potential
4 duration recorded under one drug, L-type calcium
5 current, or I_{CaL} blocker, nisoldipine.

6 Here, the points are the mean data across 4
7 to 5 hearts with arrow bars. The dash line is the
8 model prediction before we optimized the
9 parameters. The solid line is after model
10 optimization.

11 Clearly, the optimized model was able to
12 reproduce the mean data better than the original
13 model. And we see this not only for this current;
14 similar improvements were seen for other major
15 potassium currents, for example, I_{Kr} or hERG, and
16 I_{Ks} and I_{K1}, and also late sodium current, I_{NaL}.
17 This piece of work was being wrapped up with a
18 manuscript and will be published soon.

19 Now that we have an optimized or improved
20 base model, we began to develop a metric to
21 differentiate different TdP risks. But before
22 that, I would like to briefly revisit the key

1 mechanisms of Torsade, the imbalance of inward and
2 outward currents.

3 Shown here is the action potential, the
4 black trace. Action potential is basically
5 membrane voltage during a heartbeat. You can see
6 that it shoots up first, and then it enters this
7 so-called plateau phase before it returns to the
8 baseline, which is called repolarization.

9 The plateau phase is modulated by two
10 opposing classes of currents, inward currents,
11 which include L-type calcium and late sodium
12 current, and outward currents, which include four
13 different types of potassium currents including IKr
14 or hERG current.

15 If for some reason the ratio between inward
16 and outward currents increased, for example, if
17 inward currents are enhanced or outward currents
18 are blocked, you may see this red trace action
19 potential, which is widened and prolonged. If this
20 ratio is further increased, you will see this bump
21 I showed before, which is called early-after
22 depolarization or EAD. This EAD can lead to one or

1 two premature beats, resulting in Torsade de
2 pointes on ECG.

3 From this, you can see that the balance
4 between inward and outward currents are the key to
5 the generation of EAD or Torsade. We developed a
6 metric, the net current between inward and outward
7 currents to reflect their balance. Mathematically,
8 this metric, I_{net} , is the sum of all inward
9 currents and outward currents because they have
10 different directions or different signs. This
11 actually reflects their balance.

12 Now, this is the performance of this metric
13 over the 12 drugs. The X-axis is the concentration
14 normalized against each drug's own C_{max} , maximum
15 clinical exposure. The Y-axis is the metric change
16 of qI_{net} . Change of qI_{net} is basically the
17 percentage change or integral of I_{net} between drug
18 and the control.

19 Now, trial drugs, the red drugs, are high
20 TdP risk. The blue ones are intermediate. The
21 green ones are low risk. We can see that, overall,
22 throughout all the concentration we tested from

1 1 to 25x Cmax, the three categories are all
2 separated.

3 By the way, the simulation was done when we
4 paced the heart at 2000 milliseconds or 30 beats
5 per minute to mimic bradycardia because bradycardia
6 is a known factor for Torsade. We also tried this
7 for fast paced beating, or normal beating, or even
8 tried the long-short-long pattern mentioned this
9 morning. We found that bradycardia, as a trigger,
10 is the best simulation protocol.

11 Also, we found another related metric, which
12 is called change of qInward. Again, the X-axis is
13 a concentration. The Y-axis is the metric, which
14 is the percentage change of integral, of inward
15 current only, which includes late sodium and L-type
16 calcium current. Again, we see that the three
17 categories are separated at high concentrations.

18 Three out of the four high-risk compounds
19 developed EAD during simulation, shown by the stars
20 here, which indicates this is very mechanistic
21 metric. You might ask now, we know the balance
22 between inward and outward currents are important;

1 how come you can still separate drugs by using only
2 the inward currents? This is because due to the
3 interaction between inward and outward currents,
4 the change of inward currents actually carries the
5 information of outward currents and reflects the
6 shifted balance between them. It's not a direct
7 measurement. That's why it's not as good as the
8 net [ph] current before, but it's still good.

9 Now, we have two good, new metrics, the Inet
10 and the inward currents. We wanted to do a
11 systematic comparison with these two and other
12 commonly used metrics. And here, the axis, again,
13 is the drug concentration for the trial drugs
14 normalized against its Cmax. The Y-axis, each row
15 is one metric. We have the two new metrics on top,
16 followed by some APD-related metrics, which are
17 basically QT prolongation-related metrics and other
18 metrics below.

19 Each square is the mean classification error
20 across 12 drugs. This is the training stage, so we
21 want the metric to be able to achieve zero training
22 error, indicated by the white square.

1 Now, you can see that over all this metrics,
2 only the two new metrics can achieve zero training
3 error. All other metrics, including this QT
4 prolongation-based ones, cannot achieve zero error,
5 which means they always make a mistake when
6 classifying just one or two of the 12 drugs.

7 Finally, what about experimental
8 uncertainty? We know experimental data have
9 intrinsic and extrinsic uncertainty. This will
10 lead to uncertainty in metric calculation and TdP
11 risk assessment. Thus, each drug at a specific
12 concentration should have a range of possible
13 metric values versus before for each drug at each
14 concentration. I want to give you one metric
15 value.

16 For this, we developed a method to translate
17 experimental uncertainty, for example, variability
18 of IC50s into uncertainty in metric calculation.
19 Here, we have 12 drugs again. This is a simulation
20 done at 2x Cmax. Previously, at this
21 concentration, each drug has only one metric value,
22 but now each drug has a distribution of possible

1 metric values.

2 The width of the distribution will reflect
3 the uncertainty in the IC50s for similar data. We
4 can see that even though there are some overlap
5 between the distributions, the peaks of the
6 distributions, which reflects the most probable
7 metric value for each drug, are still separate
8 along these three categories, indicating our
9 metric, the Inet-based metric can still separate
10 the three categories.

11 In summary, we took the consensus-based
12 model, ORd model, and further enhanced it with
13 temperature-dependent dynamic drug-hERG interaction
14 and optimized model parameters.

15 We identified the two promising metrics
16 using training drugs. Their performance needs to
17 be assessed using independent validation. Method
18 to incorporate experimental uncertainty
19 established; method to capture inter-subject
20 variability also being considered. And finally,
21 the experimental quality criteria, data format
22 standard and efficient route for sponsor data

1 submission are being developed in collaboration
2 with industry collaborators.

3 CiPA is truly a global collaboration effort.
4 I have many people to thank. Due to time limits, I
5 cannot go through the names here. Our next
6 presentation is going to be from Dr. David Strauss.
7 Thanks.

8 (Applause.)

9 DR. WALDMAN: Our last presentation will be
10 by Dr. David Strauss, division director, Division
11 of Applied Regulatory Science, CDER at FDA. He's
12 going to speak to us today about Phase 1 ECG
13 Analysis under CiPA, Integration of All CiPA
14 Components, and the Potential implementation
15 strategy.

16 Thank you. David?

17 **FDA Presentation - David Strauss**

18 DR. STRAUSS: Thank you very much.

19 We've heard an overview of all of the four
20 components of CiPA. I'm going to, first, talk
21 about the last component, evaluation of
22 unanticipated effects in clinical phase 1 studies.

1 The goal of this component is to use human
2 phase 1 ECG data to determine if there are
3 unexpected ion channel effects compared to
4 preclinical ion channel data. This might occur
5 because of a human-specific metabolite or protein
6 binding.

7 The ECG biomarker working group looked at
8 potential new ECG biomarkers and identified there
9 would be a need to add additional information
10 beyond QTc if we were going to introduce any new
11 methods. Key criteria were the ability to
12 differentiate multi-ion channel effects during
13 repolarization, that the biomarker can be corrected
14 for heart rate if needed, that there's sufficient
15 power to detect changes in small sample sizes with
16 exposure-response analysis as would occur in early
17 phase 1 studies, and that analysis algorithms would
18 be available for widespread use.

19 We started investigating this by performing
20 an analysis of approximately 500,000 digital ECGs
21 from a large number of prior thorough QT studies
22 comparing to submitted nonclinical ion channel

1 data. And we identified an ECG biomarker, the
2 so-called J to Tpeak interval that I'll explain on
3 the next slide, that could differentiate drugs that
4 selectively block hERG that are usually associated
5 with Torsade risk, from drugs that block hERG and
6 inward currents, late sodium and/or calcium, and
7 have low Torsade risk.

8 This graphic shows the body surface ECG on
9 top and ventricular action potentials from the
10 heart on the bottom. The first component is the
11 QRS, depolarization, corresponding with the peak
12 sodium current.

13 The next part is this J to Tpeak interval
14 going from the junction, the J point between the
15 QRS and the ST to the peak of the T wave. As
16 Dr. Li presented, this is when the calcium and late
17 sodium currents are present. Later in
18 repolarization, the hERG current becomes active and
19 extends into the Tpeak to Tend interval.

20 We had incomplete ion channel data on many
21 of the prior thorough QT studies, so we went on to
22 conduct two prospective clinical trials involving a

1 total of 8 drugs and 3 drug combinations. I'm
2 going to go through two slides, one from each
3 study.

4 This slide, if you focus on the right-hand
5 side, shows data for two drug --

6 (Pause - technical difficulty.)

7 DR. STRAUSS: All right. Both of these
8 drugs prolong QT, and by only looking at QT, you
9 cannot tell them apart. However, dofetilide, the
10 selective hERG blocker, prolongs QT by prolonging
11 both the J to Tpeak and Tpeak to Tend intervals.

12 In contrast, ranolazine prolongs QT by only
13 prolonging Tpeak to Tend and not J to Tpeak. And
14 this is consistent with the late sodium current,
15 shortening the J to Tpeak interval and returning it
16 back to baseline values.

17 We went on to investigate this further in a
18 drug combination study combining dofetilide with
19 lidocaine and mexiletine, the two late sodium
20 current blockers. These graphs show the dofetilide
21 concentration on the X-axis and the change on the
22 ECG measurement on the Y-axis for QT, J to Tpeak,

1 and Tpeak to Tend, respectively.

2 Later, late sodium current blockers were
3 administered. It shortened the QT interval as
4 hypothesized, and it did this by shortening the J
5 to Tpeak back to a baseline value without affecting
6 the Tpeak to Tend interval.

7 In summary, from our ECG biomarker analysis,
8 which went beyond what I presented today, we
9 examined 12 potential ECG biomarkers in comparing
10 them to ion channel data. Multiple ECG biomarkers
11 could be applied in exposure-response analysis.
12 However, area under the curve analysis show that
13 the J to Tpeak interval was the strongest predictor
14 of the balance between inward current or late
15 sodium current block in the presence of hERG block.

16 This measurement also had similar inter- and
17 intra-subject variability and heart rate
18 relationship as QT, and other ECG biomarkers had a
19 variable heart rate relationship. Software that we
20 developed to make these measurements has also been
21 released as open-source software, and there are six
22 publications at the bottom that detail much of this

1 work in more detail.

2 We are performing another prospective
3 clinical validation study that will apply this
4 method in a small sample size, early phase 1-type
5 clinical study to verify that a combined assessment
6 of QT and J to Tpeak can differentiate between
7 drugs that are selective hERG blockers versus have
8 balanced block of hERG and late sodium and/or
9 calcium. We'll include 6 drugs that is a
10 combination of selective hERG blockers, hERG plus
11 late sodium, hERG plus calcium, or the combination
12 of all three. This will be completed in 2017, and
13 it's actually starting to recruit subjects today.

14 Now, how do the components fit together?
15 I'm going to walk you through this slide slowly,
16 which will tie the four parts together. At the
17 top, we have the output of the in silico
18 proarrhythmia model in a continuous metric, going
19 between low, intermediate, and high risk, as Dr. Li
20 presented.

21 We, first, focus on the low-risk
22 predictions. This can primarily be due to two

1 reasons. One, there's no hERG block or other
2 relevant ion channel effects near clinical
3 concentrations, or we have balanced ion channel
4 effects like ranolazine or verapamil.

5 Focusing on the no-ion channel effects, the
6 stem cell cardiomyocytes can be -- the assays can
7 be performed to look at repolarization effects. If
8 there are no repolarization effects, this is
9 consistent with low risk. If there are
10 repolarization effects, this is a potential
11 discrepancy.

12 We would want to understand the mechanism,
13 but this does not mean there is Torsade risk. We'd
14 want to proceed to phase 1 studies where QT
15 exposure-response analysis can still be performed.
16 No QT prolongation is consistent with low risk.

17 The presence of QT prolongation is a
18 potential discrepancy. An integrated risk
19 assessment could be performed assessing J to Tpeak
20 and Tpeak to Tend, asking questions such as if the
21 effects are due to a minor potassium channel, a
22 metabolite, hERG trafficking, or a non-acute

1 effect.

2 With the balanced ion channel effect drugs,
3 the stem cell-derived cardiomyocyte assays can
4 still be performed. Although as they are not
5 perfectly "mature" in their balance of ion
6 channels, there wouldn't be too much weight placed
7 on this assay.

8 We would expect potential QT prolongation
9 with these drugs, and so we would look at the J to
10 Tpeak interval. The absence of J to Tpeak
11 prolongation would be consistent with a low-risk
12 drug, whereas the presence would be a potential
13 discrepancy not consistent with low risk and would
14 likely require enhanced ECG monitoring in
15 development and appropriate labeling.

16 For intermediate or high-risk Torsade drugs,
17 if the decision is made to progress to human
18 studies, the QT analysis can still be applied in
19 exposure-response analysis in phase 1. QT
20 prolongation would be expected, and it's still
21 consistent with intermediate or high risk that
22 would've been predicted from the model.

1 The absence of QT prolongation would be a
2 potential discrepancy, and after an integrated risk
3 assessment, assuring that an appropriate
4 supratherapeutic exposure was achieved and the
5 study was adequate, the risk could be down
6 classified.

7 In summary, CiPA is intended to be a
8 fit-for-purpose assay. It will utilize an
9 in silico mechanistic model to serve as the
10 prediction of proarrhythmic risk of a drug in
11 comparison to known clinical comparators. An
12 additional preclinical check with stem cell-derived
13 cardiomyocytes will be performed to ensure that
14 drug effects on repolarization are not missed.
15 ECGs will still be assessed in phase 1 clinical
16 studies that are already being performed with
17 exposure-response modeling.

18 I'm now going to quickly go through the
19 questions, followed by one background context
20 slide. Question 1 for the committee will be:

21 For a QT prolonging drug, will this
22 mechanistic model-based approach be fit for the

1 following two applications:? A) determining
2 whether ECGs need to be collected in phase 3; and
3 B) informing proarrhythmic risk language in drug
4 labeling?

5 As Dr. Garnett [sic] reviewed, currently, a
6 positive thorough QT study often results in further
7 ECG follow-up in late phase studies. The extent of
8 follow-up is influenced by the magnitude of QT
9 prolongation; although that does not necessarily
10 correlate with Torsade risk.

11 If QT prolongation is substantial, the goal
12 of ECG monitoring is to protect patients in later
13 trials and obtain further information on the
14 frequency of substantial QT prolongation to
15 understand the potential proarrhythmic risk of the
16 drug.

17 QT prolongation at therapeutic exposures
18 results usually at a minimum in labeling in the
19 warnings and precautions and advising to avoid use
20 with other QT prolonging drugs or in high-risk
21 patients.

22 Question 2. Does the committee agree with

1 the proposed approach for validating the new
2 paradigm that involves assessing 28 drugs
3 classified into low, intermediate, and high risk by
4 an expert panel, and if not, what else should be
5 done?

6 To recap, a set of 28 drugs with
7 well-defined electrophysiology and known clinical
8 characteristics was identified by a team of expert
9 clinicians, safety pharmacologists, and cardiac
10 electrophysiologists from regulatory agencies,
11 industry, and academia.

12 They were categorized into high,
13 intermediate, and low risk of Torsade based on
14 published reports, analysis of the FDA adverse
15 event reporting system data base, other data
16 sources, and expert opinion.

17 The set of 28 drugs was divided into
18 training and validation drugs. The validation will
19 include assessing all of these drugs in both the
20 ion channel, in silico assay, and the IPSC, the
21 stem cell-derived cardiomyocyte assays.

22 For the CiPA phase 1 ECG approach, analysis

1 has included an assessment of a large number of
2 thorough QT studies, two prior FDA-sponsored
3 clinical trials including 8 drugs, and a
4 confirmatory prospective study involving 6 drugs to
5 be completed in 2017.

6 The final question is, as this new
7 mechanistic model-based approach is implemented,
8 should the FDA collect the world's experience to
9 facilitate future enhancements as was done by the
10 FDA with the ECG warehouse for QT studies?

11 As occurred with implementation of the QT
12 interdisciplinary review team and digital ECG
13 warehouse, FDA intends to expand to a proarrhythmia
14 interdisciplinary review team and collect digital
15 waveform data from in vitro experiments to collect
16 the world's experience and further refine the
17 paradigm over time.

18 We anticipate that this will inform enhanced
19 in vitro laboratory protocols and improve the
20 computational model over time, similar to the
21 evolution of QT studies, to implement
22 exposure-response analysis and design more

1 efficient studies.

2 In summary, we expect that CiPA will result
3 in standardized nonclinical, mechanistic-based
4 studies to determine proarrhythmic risk that can be
5 applied early in drug development to aid in
6 compound selection, as Dr. Gintant presented.

7 Proarrhythmic risk will be calibrated
8 against consensus clinical comparators ranked
9 according to clinical experience.

10 Compounds with hERG block and/or QT
11 prolongation that might be dropped development
12 under the current paradigm could have a clearer
13 path to advance if they are shown to not be
14 proarrhythmic. QT prolonging drugs on the market
15 that are not proarrhythmic could have their
16 labeling updated to reflect this. And this can be
17 a model for other comprehensive, model-informed,
18 mechanistic-based approaches to be applied in other
19 drug safety areas.

20 In conclusion, the CiPA teams have presented
21 multiple times to the ICG S7B/E14 discussion group
22 the rationale and approach being taken under CiPA.

1 The CiPA Steering Committee is optimistic that this
2 interaction will speed acceptance of this
3 alternative pathway for assessment of proarrhythmic
4 potential for regulatory purposes.

5 The CiPA Steering Committee is also
6 optimistic that the work outlined here can be
7 completed by the end of 2017. After
8 implementation, we are interested in carefully
9 evaluating approved drugs that show evidence of
10 being QT prolongers without Torsade risk, with the
11 expectation that the application of CiPA will
12 result in drugs having their current labeling
13 changed to more benign language, if appropriate.

14 I'd like to thank the many people involved
15 within and outside the FDA. Thank you very much.

16 (Applause.)

17 **Clarifying Questions**

18 DR. WALDMAN: Thank you very much.

19 We're at the point in meeting where we will
20 have clarifying questions to the FDA and guest
21 speakers. Let me ask the assembled, are there any
22 clarifying questions for the FDA or guest speakers?

1 Please remember to state your name for the record
2 before you speak. If you can, please direct
3 questions to a specific presenter.

4 If I can start off the questions while you
5 guys are thinking about your questions and queuing
6 up your hands, David, this is for you; although
7 anybody can answer. This is not my field, and I
8 may be asking really naïve questions. I apologize
9 for that in advance.

10 There are two scenarios that I was thinking
11 about as you were speaking. One is the, for lack
12 of a better phrase, the false-negative situation,
13 and the other is the false-positive situation.

14 It seems, to me, that the CiPA paradigm
15 doesn't take into consideration intrinsic and
16 extrinsic factors of the patient that could
17 potentially influence electrophysiology, so
18 co-morbid conditions, concomitant medications.

19 My concern would be for a drug to make it
20 through the paradigm, make it through screening,
21 make it through phase 1, absolutely clean, and the
22 determination is, okay, we don't need cardiograms

1 in phase 3, and now go into a patient population
2 with the disease, comorbid conditions and
3 concomitant medications, and reveal an
4 electrophysiological effect and Torsade, for
5 example. That's a false-negative scenario.

6 The false-positive scenario is a drug that
7 gets identified in the paradigm, gets into phase 1
8 and further clinical trials, and has no
9 electrophysiological signal in the patient
10 populations.

11 Does that drug now carry with it the burden
12 of a labeling change into the future, ultimately,
13 if it gets approved, because it showed something in
14 the paradigm that didn't play out in the patients?
15 Those are two questions. Sorry.

16 DR. STRAUSS: Yes. Thanks for those
17 questions. David Strauss.

18 I think before I directly answer the
19 questions, it's important to point out that with
20 the current paradigm where we just focus on hERG,
21 one ion channel, and QT, the primary problem has
22 been false-positives, not false-negatives.

1 That has actually been one of the main
2 driving factors for CiPA. All the excellent points
3 about there can be patient variability, drug-drug
4 interactions, I mean, all that is true in the
5 current paradigm also, and we haven't had too many
6 problems or we haven't had problems.

7 With that caveat, I think that if I focus on
8 the false-positive first, you're asking if there's
9 a drug that comes out as predicting it's
10 proarrhythmic, but in phase 3, you don't see any
11 Torsade events. That's common currently because
12 Torsade is extremely rare, except for the highest
13 risk drugs, dofetilide, quinidine. It's still only
14 in a 1, 2 percent range.

15 So yes, a drug could still carry
16 Torsade -- or we think this drug is high risk even
17 though we didn't see it in clinical development,
18 but I think that would be appropriate since it is
19 such a rare event.

20 For the false-negatives, your question is if
21 we -- can restate your specific question for that?

22 DR. WALDMAN: Yes. The drug gets through

1 the CiPA paradigm, and it's clean.

2 DR. STRAUSS: Right.

3 DR. WALDMAN: So then the decision now is,
4 okay, I don't need to collect cardiographic
5 information in phase 3; I don't have to do that.
6 But it turns out that when it enters the patient
7 population with the pathophysiology, the
8 concomitant medications, the comorbid conditions,
9 now an electrophysiological effect is revealed --

10 DR. STRAUSS: Right.

11 DR. WALDMAN: -- that you didn't pick up in
12 the paradigm because the paradigm doesn't really
13 consider those things.

14 DR. STRAUSS: Yes. We are able in the
15 in silico computational modeling to take into
16 account different electrophysiology effects. We're
17 investigating the use of a so-called population of
18 models approach where you vary the ion channel
19 conductances of each of the currents. And instead
20 of just running one simulation, you run a whole
21 spectrum of them.

22 So we can get a spectrum of -- Dr. Li

1 presented the uncertainty quantification, and then
2 we can do that with a population of models that
3 represent different patient characteristics in
4 addition.

5 We have some ability with the modeling, do
6 both models, different exposure levels. We will do
7 that, and we showed that here, but then also to
8 model potential differences in the
9 electrophysiology on an individual patients' basis.
10 And we will see if that helps in the risk
11 stratification.

12 Ultimately, it's important to point out that
13 we're not performing personalized medicine here.
14 We're not trying to predict risk in an individual
15 patient. We're trying to predict overall general
16 risk for a compound.

17 DR. WALDMAN: Thank you very much.

18 Dr. Awni first, and then Dr. Carrico.

19 DR. AWNI: Walid Awni. This is a question
20 for Dr. Strauss. I'm just trying to actually
21 understand from the mechanism of drug development
22 how this fits. You do your experiment, in vitro

1 ion current experiment, you get your data. You
2 find the signal, all of them that you say, hey,
3 there it is.

4 So then everybody will be doing an in silico
5 modeling of that time point, and then do the stem
6 cell? Because then you could go to the human.
7 Ultimately, you're going to go to the first
8 in-human. If you are trying to save, let's say,
9 that the drug that get kicked out of the drug
10 development process because they have hERG effect,
11 I'd say, hey, there might be a different reason,
12 and we shouldn't kick them out.

13 That means that a lot of that work will
14 happen before you go to the first in-human, and
15 when you get to the first in-human, you say, hey,
16 we saw this, we have this mass, or, we didn't see
17 anything?

18 I'm just trying to see where would you see
19 all of that work fit in the drug development.

20 DR. STRAUSS: I could make a brief comment,
21 and then I think it could be good for Dr. Gintant
22 to make a comment from the industry perspective of

1 how he sees this might be used in pre-regulatory
2 setting because sponsors can use this however they
3 want before they come to FDA for their internal
4 decision-making. And different companies may use
5 it earlier, later in the process, during screening,
6 or final compound selection. That's one point.

7 Would you want Dr. Gintant to comment on
8 that briefly?

9 DR. AWNI: Yes.

10 DR. GINTANT: Industry is already moving
11 toward this, at least looking at multiple ion
12 channels, rather than simply hERG. There's a bit
13 more I think reticence to moving to the in silico
14 reconstruction simply because there's less
15 familiarity with it, less understanding of what it
16 can do.

17 Certainly, the industry is already moving
18 towards this because they recognize that for
19 mechanistic-based assessment -- and we want to move
20 the same good compounds forward and not get
21 surprised later on.

22 DR. AWNI: I'll just follow up just one

1 second because the simplicity of the decision at
2 the earliest time point -- because you don't want
3 to spend resources on it. It's very important.
4 That's why the hERG experiment has been very
5 valuable.

6 Although you killed some drugs, that's
7 fine -- or not the drug -- some compound -- you
8 move on. That's where I'm kind of like how simple
9 could it be implemented, which tells me -- the
10 other question for Dr. Strauss, the team is looking
11 at all the pieces. Would the team come back and
12 say, you know what, the only thing you need to do
13 is just 1 and 4 because 2 and 3 could be quite
14 different resources for a small company, a big
15 company. You don't need to do it except for these
16 special cases.

17 DR. STRAUSS: In this next year, we'll have
18 a lot of validation data, and there are a few
19 things that might happen. With the ion channel
20 screening, right now, we're assessing 7 ion
21 channels; that may go down to 4 ion channels that
22 are critical, so that could be reduced.

1 We'll be able to see what the complimentary
2 value is of the -- the ion channel in silico is
3 really a combined approach. And once you have the
4 ion channel data, it doesn't really cost you much
5 to put it in the model.

6 We'll see how well the stem cell-derived
7 cardiomyocyte data in this full multi-site global
8 validation study performs. And it's possible that
9 draft flowchart I presented of how things could fit
10 together, our thinking, the group's thinking may
11 evolve.

12 DR. WALDMAN: Terrific.

13 Dr. Carrico and then Dr. Roden?

14 DR. CARRICO: This is Jeff Carrico.

15 Dr. Strauss, I'd like to go back to the
16 discussion about the false-negative, and taking
17 into account that a lot of things can happen, and
18 we need to talk about them and discuss them and
19 everything, and also taking into account what you
20 said about how the model can be played with, and
21 the rarity of Torsades, could you talk a little bit
22 about whether or not you see that -- again, taking

1 into account that we're discussing CiPA, to this
2 extent, for its use, could you address whether or
3 not you do see the opportunity for false-negatives,
4 or is that something that just fits into the
5 something-could-happen category?

6 DR. STRAUSS: Yes, it could happen, but
7 we're not that worried about it. It has not been a
8 problem over the past decade. We think that the
9 current approach has likely been a little too
10 conservative.

11 Yes, it's something that we will always keep
12 in mind, and we do not want false-negatives, but
13 we're not that worried about it.

14 DR. WALDMAN: Thank you. Dan?

15 DR. RODEN: I have about 10,000 questions.

16 (Laughter.)

17 DR. RODEN: I'm going to try to limit myself
18 to the high points, and at some point, you and I,
19 and others can get down in the real dirt, which is
20 probably not important for this meeting.

21 So this is Dan Roden. These are
22 clarification questions. I have other questions

1 for later.

2 The modeling is ventricular myocytes. Most
3 people think Torsade originates in the Purkinje
4 fibers, so I'd like a modeler to address that
5 particular question. I'd also like to know why the
6 models are done at 30 beats a minute, a heart rate
7 that nobody ever sits at for long. They might sit
8 there for a second or two.

9 So I think you need to think about why
10 you're doing the models at 30 beats a minute and
11 not 60 or 100 beats a minutes, or modeling disease.

12 I think a potential false-negative is a drug
13 that is a particularly bad actor in the presence of
14 heart disease. In particular -- and this is almost
15 a personal belief, but there's lots of data to
16 support me -- in the presence of atrial
17 fibrillation and the conversion after atrial
18 fibrillation, that's a very high-risk period for
19 these drugs, and one way to bring them out.

20 Then I guess a generic question is, if we're
21 talking about not doing the thorough QT, are there
22 data, do you have a sense, is there a way to get

1 data on how this particular paradigm performs
2 compared to a thorough QT paradigm?

3 I'm agnostic, at best, about the thorough QT
4 study. I'm not sure it's all that helpful. It's
5 certainly cumbersome, and some way to replace would
6 be great. Do you know whether the thorough QT has
7 actually helped anybody and whether this will help
8 more?

9 Those are my clarification questions. Just
10 wait until we get to the other questions.

11 DR. Z. LI: There are several questions
12 about modeling. I think the first one and the
13 third one, they can be combined together. The
14 first question was some animal study that shows
15 that Torsade or EAD more easy -- you can easily see
16 them in Purkinje fibers; why did you use a
17 endocardial myocyte rather than Purkinje fibers?

18 The third question was, we know that some
19 diseases, patients are more prone to EAD or
20 Torsade, but now, we are using a healthy human
21 heart with healthy human myocyte; why do we do
22 that?

1 I would like to combine the two questions
2 into one to try and justify why we choose the model
3 or the myocyte we are using now. The purpose of
4 CiPA is not to predict at which beat EAD or Torsade
5 will develop. If it was that, you would have to
6 consider all kinds of things, underlying diseases,
7 genetic background, et cetera, et cetera.

8 The primary purpose of CiPA model is to rank
9 order drugs in terms of their tendency to cause EAD
10 or Torsade. We found that by using a healthy human
11 myocyte model, we can find a metric that measures
12 the distance of the drug at a certain concentration
13 to EAD generation. And we can use this distance to
14 rank order drugs, so that we believe that this
15 order is the same between different myocytes and
16 the different conditions.

17 Also, another thing is the purpose of CiPA
18 modeling originally was to try and to replace TQT
19 study. A TQT study will recruit healthy human
20 patients to -- we use QT signal from healthy
21 patients to help us to make real good decisions, so
22 that there's some parallel here.

1 The second question was about a choice of
2 beating frequency. I said that we used 30 -- I
3 showed the data for 30 beats per minute to mimic
4 bradycardia. Admittedly, this is a very, very
5 extreme slow beat, but we found that actually with
6 our current base metric, the Inet-based metric, the
7 metric performs equally well in 30 or 60 in slow
8 beat, lower beat, heartbeats. When the heartbeats
9 slow down a little bit, the separation is slightly
10 enhanced, but we can achieve the separation in
11 normal physiological conditions.

12 I think the third question is about TQT
13 study. Maybe I can defer that to David or
14 Christine.

15 DR. GARNETT: For the thorough QT study, the
16 current paradigm right now is sponsors could
17 collect the ECGs in the phase 1, which would now be
18 considered that fourth component of the CiPA.

19 In the phase 1 study, if it goes over a
20 large exposure range, which includes not only the
21 therapeutic exposure range but in excess to account
22 for high exposures those patients may see, we will

1 be looking at those electrophysiological effects of
2 these new biomarkers over these wide range of
3 concentrations in that phase 1. And that would be
4 your definitive assessment of the mechanism within
5 a human model per se, not just in the in silico
6 models.

7 DR. RODEN: Do you have any sense whether
8 the thorough QT, as currently performed, actually
9 gets drugs that would be dangerous off the market?
10 There's no way to know that. Because there's
11 people stop developing a drug based on the --

12 DR. GARNETT: That's right.

13 DR. RODEN: So, we don't know.

14 DR. GARNETT: Well, the way the thorough QT
15 study is implemented is if you come out of
16 nonclinical development, and you know you have a
17 prolonger, but because of benefit-risk such an
18 oncology product, you're going to move it forward,
19 you don't have to do a thorough QT study at that
20 point. When you give this drug in the clinical
21 trials, you are already monitoring ECGs in all
22 patients for safety and understanding what the

1 proarrhythmic risk is.

2 So we don't see huge QT prolongers coming
3 through a thorough QT study, and most of the ECGs
4 from those would come from the clinical trials.

5 DR. WALDMAN: Yes, please.

6 DR. AU: Jessie Au. I have a question for
7 Dr. Li. I really don't work in this area, and I
8 don't even recognize any of your abbreviations,
9 what they mean.

10 (Laughter.)

11 DR. AU: I'm looking at it as a modeler.
12 Your 4 says basically when you account for the
13 temperature-dependent binding, then you improve
14 your fitting a lot, I mean 20 percent at the last
15 data point.

16 I made an assumption that -- you said this
17 is an in vitro assay. You put a drug, you bind
18 something. You get some binding constant, and
19 you're now corrected for that. Is that a correct
20 assumption?

21 DR. Z. LI: It's not only a binding. You
22 have to measure the effect of the binding, which is

1 blocked current through the channel. So it's not
2 just simply binding.

3 DR. AU: Okay. You measure another
4 endpoint. Then the question is, if you want to use
5 CiPA to help you to screen false-negative,
6 false-positive, doesn't that then require you to
7 know what drug you're looking at.

8 I mean you're at moiety. When you do
9 in vitro, you're stuck with moiety. So if you have
10 a metabolite, you're not going to find it with this
11 assay, are you?

12 DR. STRAUSS: David Strauss. Yes, a
13 metabolite, we can assess a metabolite just like we
14 assess the parent drug. And with the hERG assay, a
15 major metabolite, if it's above a certain
16 threshold, will also be assessed, and so that can
17 be done.

18 Then we also still do have assessing ECGs in
19 phase 1. If there was human-specific metabolite
20 that wasn't predicted or anticipated, we would see
21 if it is causing a clinical effect in the phase 1
22 studies. But we can assess metabolites, in vitro

1 also.

2 DR. AU: Follow-up?

3 DR. WALDMAN: Follow up, please.

4 DR. AU: Still, as a clarifying question, I
5 thought you want this -- well, maybe you're going
6 to use any time during the whole development path.
7 If you do this before you have clinical data, then
8 you cannot know if your metabolites in animals are
9 going to predict what happens as well.

10 DR. STRAUSS: Yes. The focus would be on
11 the parent compound. If there was a major
12 metabolite that emerged, and it was suggested that
13 it was having electrophysiological effects, you
14 could go back after you've been in humans and
15 perform any parts of this assay on the metabolite
16 if that was needed.

17 DR. WALDMAN: Xander, identify yourself.

18 DR. VINKS: Xander Vinks, University of
19 Cincinnati. We got a very nice overview about an
20 impressive model. What I was missing a little bit
21 is bridging information in terms of how would this
22 really predict in patients, and I think that aligns

1 with comments before.

2 I just wanted to ask the presenters whether
3 they could elaborate a little bit more on it. We
4 have two examples. These are a phase 1 study, so
5 this is data from healthy volunteers.

6 Whether you have done more of concentration
7 effect in this respect and the proarrhythmic
8 effects that would be predictive by the model,
9 first, in the phase 1 data sets that you have
10 access to. But I would assume also, given that you
11 have this large ECG database, that you would have
12 also this exposure-response-type information in
13 real patients, patient population.

14 Have you done that type of analysis, and if
15 so, are you planning on it? How do you go from
16 phase 1 to a later phase as you ask us, as a
17 committee, to determine whether ECGs are needed to
18 be collected in phase 3?

19 I was missing a little bit of that bridging
20 information to make a real good, say, decision on
21 how to answer that question.

22 DR. STRAUSS: David Strauss. I think a key

1 point is that our gold standard here for
2 proarrhythmia is not a biomarker signal in phase 1
3 or phase 2 that is an ECG marker. The gold
4 standard for validating this assay is actually
5 seeing Torsade in patients. These 28 drugs are
6 drugs that have -- many of them that have been on
7 the market for years. Some of them were removed
8 from the market like cisapride or terfenadine.

9 So it's based on clinical experience.
10 That's how we're validating the nonclinical parts
11 of CiPA. We do have a lot of in-house data at FDA.
12 In terms of looking at these new ECG biomarker
13 assessment that could differentiate the ion channel
14 effects, that was really developed from having the
15 ECG warehouse at FDA and being able to go back and
16 reanalyze the digital ECGs.

17 But that's not the core part of CiPA. The
18 core part is predicting the proarrhythmic risk
19 based on clinical experience in patients over many
20 years.

21 DR. WALDMAN: Other questions from the
22 panel? Dr. Polli?

1 DR. POLLI: Dr. Strauss, you actually just
2 partially answered my question that I was thinking
3 about.

4 Question 2 concerns these 28 drugs. Of the
5 28 drugs, I think 8 are in the high-risk category.
6 Can you just elaborate more on the suitability of
7 those 28, whether they -- and I was thinking about
8 the false-negative concept.

9 Can you just elaborate a little bit more on
10 the suitability of those 28 drugs?

11 DR. STRAUSS: Yes. It's very tricky to come
12 up with a gold standard because every drug is used
13 in a different patient population. Some used are
14 in cardiac patients, and other drugs are used in
15 healthy subjects who have an antihistamine.

16 In terms of classifying the risk into
17 different categories, in addition to some
18 quantitative numbers in terms of what signals in
19 the FDA -- or what the number of Torsade events is
20 in FAERS and other case reports in the literature,
21 there is some clinical judgment and experience that
22 went in from the group that categorized these

1 drugs.

2 So it is a very tricky thing to do, but if
3 we really want to predict the clinical endpoint
4 that's important and cause a sudden death, this is
5 the best method we could come up with. And I think
6 this is a common problem that would extend to other
7 types of drug-induced liver injury. What is the
8 gold standard? It's tricky.

9 DR. WALDMAN: If I could take the chair's
10 prerogative and move at the moment to the folks on
11 the phone who haven't had a chance to ask any
12 questions.

13 Folks on the phone, I'm going to go one by
14 one and ask if you have questions.

15 Dr. Arkus, do you have questions?

16 DR. ARKUS: No, I don't have questions at
17 this time. Thank you.

18 DR. WALDMAN: Thank you.

19 Dr. Cook?

20 DR. COOK: Yes. Dr. Cook with Pfizer. With
21 respect to the potential CiPA assessment flowchart,
22 which was slide 12 in Dr. Strauss' presentation, I

1 understand the value of the preclinical in silico
2 assessments to drug development, but the decisions
3 as far as regulatory and monitoring in future
4 studies seem to rely primarily on the phase 1 human
5 study results.

6 Am I reading that correctly?

7 DR. STRAUSS: David Strauss. No, it doesn't
8 rely solely on that. We've tried to compress a lot
9 of information into a single slide. But the
10 phase 1 ECG results are at the bottom of the slide,
11 but the way you use the phase 1 ECG differs based
12 on whether you've predicted it's a low risk from
13 the model, based on no ion channel effects, to the
14 balanced ion channel effects, or you predict it's
15 intermediate or high risk. That is having a
16 substantial influence on how we interpret the later
17 assessment in phase 1 ECGs.

18 DR. WALDMAN: Thank you, David.

19 Dr. Cook, any other questions?

20 DR. COOK: Just one other, and that's
21 the -- not in the paradigm of the large animal
22 cardiovascular safety studies that are done. Not

1 to be provocative, but is there a suggestion that
2 we no longer do those?

3 DR. STRAUSS: Those generally aren't related
4 to proarrhythmia.

5 DR. COOK: Okay.

6 DR. WALDMAN: Thank you.

7 Dr. Tenjarla? Are you on, and do you have
8 any questions?

9 DR. TENJARLA: I'm on. I have no questions
10 at this time. Thank you.

11 DR. WALDMAN: Thank you.

12 Dr. Waldo, we have your question from
13 earlier today. Actually, it was asked and answered
14 earlier. Dan Roden asked a question, and it was
15 addressed by the agency.

16 Do you have any other questions?

17 DR. WALDO: Yes, I do. Actually, I didn't
18 hear that. You know, there was a time when we lost
19 a voice on the phone, so I'm not sure if I heard
20 that answer. It might have been at that time. I
21 share a lot of Dan's concerns, but let me just do a
22 few before I get to my main point.

1 First, I want to repeat what Dan said. The
2 longest action potential duration is in the
3 peripheral Purkinje system, and I think that's not
4 a minor thing. The fact that you're only using
5 ventricular cells or ventricles in your studies, it
6 ought to be rethought a little bit, at the very
7 least.

8 The second thing I wanted to ask you was
9 about just the measurements of the QT, just the
10 information. The QT measurements, there are
11 several that are out there, and each of them have
12 their limits. And the best one, I think, is really
13 not used. Most people use the standard one, which
14 is not all that good I think at the fast rates
15 particularly. I'm just curious to know what QT
16 correction you use.

17 The next thing that I wanted to ask was
18 about -- oh, no. I wrote a few things down -- yes,
19 about heart failure. That comment was very, very
20 important because you could take a drug -- the
21 most recent one that I know about from personal
22 experience is dofetilide. It's a very good drug,

1 and Pfizer did a very good job, finally, in
2 recognizing how to control problems with prolonged
3 QT and Torsade.

4 When you get these QT prolonging
5 drugs -- and dofetilide is a good example of
6 this -- a patient with heart failure, it's a whole
7 lot worse. In fact, that was first described with
8 quinidine by a friend of mine, Eric Prystowsky, who
9 showed that quinidine, which is a famous drug with
10 the QT prolongation, but [indiscernible]. That's a
11 third question.

12 Then the last question I have was to get
13 back to the original question that I asked this
14 morning. Dan called it the short-long-short, and
15 we call it the long-short. But when you look at
16 most initiation of Torsade de pointes in patients,
17 most of the time, the EAD, it comes out of the T
18 waves. The impulse from the EAD compared to
19 T waves. And if you look at the beat before, which
20 is usually a normal beat, preceding that beat,
21 there's a relatively very long interval.

22 So what happens is the beat to be the action

1 potential duration, as most people I think on the
2 panel would know, is it changes on a beat-to-beat
3 basis. So when you go to a very long interval, but
4 your restoration gets very wide for just one beat,
5 that's all you need to set off a Torsade.

6 The point being that the variability of
7 studying at constant rates is maybe a problem.
8 Maybe you want to consider setting some of these
9 things that mimic the clinical situation of the
10 long-short, or as Dan calls it, the
11 short-long-short interval. Those are my comments
12 at the moment.

13 DR. WALDMAN: Thank you, Dr. Waldo.

14 I have four questions here. I got the first
15 two. I didn't get the third one. I got the fourth
16 one.

17 The first one referred back to the Purkinje
18 fibers.

19 DR. STRAUSS: Yes. David Strauss. Go
20 ahead.

21 DR. Z. LI: If I understand correctly, the
22 first question was the EAD or Torsade, you can

1 easily see those in other types of tissues. Now,
2 we are using -- just we're relying on ventricular
3 cardiomyocyte model.

4 Is that the question you were asking?

5 DR. WALDO: Yes, because -- I mean, first I
6 have to say the presentations, they're really
7 pretty spectacular. I really enjoyed it, and in
8 fact, I learned a lot, too. But I think it is
9 conspicuous to me -- I won't speak for Dan,
10 although he asked the question -- that, really, we
11 think the spontaneous initiation of Torsade comes
12 from the Purkinje fibers, not the ventricles.

13 It's believed, from my understanding, is
14 that's because the peripheral Purkinje fibers have
15 the longest action potential duration, and it's
16 actual potential duration that's key in generating
17 the EADs.

18 DR. STRAUSS: David Strauss. A couple quick
19 points related to that. I think it's important to
20 point out, as in Dr. Mirams' presentation, we're
21 not trying to perfectly model reality, but have a
22 useful model that can predict these clinical

1 events.

2 The reason it's an endocardial cardiomyocyte
3 model is that it was validated with approximately
4 140 human hearts making measurements from
5 endocardial cells. The model can be adapted to
6 represent different cell types; epicardial,
7 midmyocardial could be adapted to represent
8 Purkinje. But the core validation was done with
9 endocardial cells.

10 If I could try and address a couple other of
11 your questions quickly, you talked about heart
12 failure and dofetilide can be more proarrhythmic.
13 Dofetilide would come out as a high-risk drug. It
14 would require ECG monitoring under CiPA, and it's
15 given in high-risk patients. Those are probably
16 going to be monitored -- that's a different
17 situation than the vast majority of drugs.

18 Then the short-long-short or
19 long-short-long, we have simulated that, and we
20 have found that it was not more predictive than
21 just simulating bradycardia. But we can simulate
22 any rate we want, and we have investigated

1 different rates.

2 DR. WALDO: Thank you, Dr. Strauss. I will
3 only emphasize one thing. That is, I only use
4 dofetilide as an example. Of course, it doesn't
5 need that example to show its difficulty. But I
6 wanted to say that there are other drugs that might
7 be fine in the absence of heart failure, and then
8 when you give it to a patient who has heart
9 failure, all of a sudden it gets worse.

10 I'm not sure we understand why that is,
11 certainly at a cellular or molecular level. Drugs
12 that prolong the QT but don't ordinarily cause
13 Torsade can do that when you get into heart
14 failure.

15 It turns out that the studies that I've
16 looked at, particularly Prystowsky did a very good
17 job with that, went back and looked at all initial
18 reports on Torsade with quinidine. And virtually,
19 all the patients that had it were being treated for
20 heart failure.

21 I just thought that that's something to put
22 in the mix, not necessarily stop what you're doing

1 and change everything. I think that gets to the
2 issue of comorbidities that's been raised by some
3 of my colleagues on the panel. I think
4 comorbidities do play a role, and that's just one
5 example.

6 DR. WALDMAN: We appreciate that, and it's
7 noted. We've had some good discussion around that,
8 and the agency is taking that into consideration.

9 I'm going to move on to Dr. Li on the phone.

10 Dr. Li, are you on?

11 DR. T. LI: Yes, and I don't have any
12 further questions.

13 DR. WALDMAN: Can you identify yourself,
14 please?

15 DR. T. LI: Tonglei Li, from Purdue
16 University.

17 DR. WALDMAN: Say it again?

18 DR. T. LI: Tonglei Li, from Purdue
19 University.

20 DR. WALDMAN: Very good. Thank you very
21 much.

22 Okay. That concludes clarifying questions

1 for the moment. Okay. Good, a very robust
2 discussion.

3 DR. WALDO: This is Al Waldo. Can I ask one
4 other question that hasn't really come up? And I'm
5 not sure how -- it's a minor relevance I think.
6 But there are lots of patients who have pacemakers,
7 for instance, and they're pacing the ventricles.

8 How do you measure QT in those? Then
9 patients with bundle branch block, the same thing,
10 how do you measure QT in those things, and is that
11 ever an issue?

12 DR. WALDMAN: I'm going to punt to you.

13 DR. STRAUSS: That isn't an issue in this
14 paradigm where the ECGs that are being assessed are
15 almost always in healthy volunteers. It's not an
16 issue in the nonclinical parts of CiPA. So I don't
17 think that's a problem with our validation
18 strategy.

19 **Open Public Hearing**

20 DR. WALDMAN: Very good. Thank you for
21 that.

22 We're going to juggle the agenda just a

1 little bit, and we're now going to move forward
2 with the open public hearing. We do have one
3 speaker for the open public hearing. So let me
4 take the liberty of reading this preamble before
5 the speaker comes up.

6 The Food and Drug Administration and the
7 public believe in a transparent process for
8 information-gathering and decision-making. To
9 ensure such transparency at the open public hearing
10 session of the advisory committee meeting, FDA
11 believes that it is important to understand the
12 context of an individual's presentation.

13 For this reason, FDA encourages you, the
14 open public hearing speaker, at the beginning of
15 your written or oral statement to advise the
16 committee of any financial relationships that you
17 may have with the sponsor, its product and, if
18 known, its direct competitors.

19 For example, this financial information may
20 include the sponsor's payment of your travel,
21 lodging, or other expenses in connection with your
22 attendance at the meeting. Likewise, the FDA

1 encourages you, at the beginning of your statement,
2 to advise the committee if you do not have any such
3 financial relationships. If you choose not to
4 address this issue of financial relationships at
5 the beginning of your statement, it will not
6 preclude you from speaking.

7 The FDA and this committee place great
8 importance in the open public hearing process. The
9 insights and comments provided can help the agency
10 and this committee in their consideration of the
11 issues before them. With that said, in many
12 instances and for many topics, there will be a
13 variety of opinions.

14 One of our goals today is for this open
15 public hearing to be conducted in a fair and open
16 way where every participant is listened to
17 carefully and treated with dignity, courtesy, and
18 respect. Therefore, please speak only when
19 recognized by the chairperson. Thank you for your
20 cooperation.

21 Please, speaker number 1 is up at the
22 microphone. Please introduce yourself, state your

1 name, and any organization you're representing for
2 the record.

3 DR. POLAK: Good afternoon, everybody. My
4 name is Sebastian Polak. I'm a paid employee of
5 Simcyp, which is part of Certara. Simcyp operates
6 via the consortium of pharmaceutical companies.
7 I'm also a full-time associate professor at
8 Jagiellonian University Medical College, Krakow,
9 Poland. They also claim they pay me, but I can
10 barely see it.

11 As for the key points of the presentation,
12 because all those comments which I give in the form
13 of the presentation, and then I have a couple of
14 questions which may or may not be answered, is to
15 go very quickly through the problems with the
16 current paradigm, and what can be modified or added
17 to the newly proposed paradigm to make it even more
18 robust. So what are the known issues of the
19 current practice?

20 I think we can divide them into subparts.
21 At least some of them were discussed during the
22 panel discussion. We've got a problem with

1 the -- or a general problem with the channels
2 inhibitions, TdP risk classifications, and
3 prediction algorithms. Then of those, which are
4 focused on the drug, like a problem with the
5 prodrugs, for example, or active metabolites. And
6 I'm just going to discuss just a couple of them
7 during the second part of the presentation.

8 Then we've got problems, which are connected
9 with the physiology or pathophysiology of the
10 patients, like comorbidity, like dietary habits,
11 like lifestyle, or drug-triggered physiology
12 modification like potassium depletion, for example.

13 Last but not the least, we've got a problem
14 with the exposure because the majority of drugs,
15 the plasma concentration is a relatively good
16 surrogate. I can think about at least a couple of
17 examples where heart concentration could be or is a
18 better surrogate of the active concentration, to
19 give [indiscernible] as the example only.

20 I'm going to go into the details of some of
21 them, so a closer look of some of those issues and
22 find some patterns.

1 First of all is a TdP risk classification.
2 I know it was proposed to develop a new
3 classification and divide the compound into three
4 groups: high, mid, and low risk. But the problem
5 is, it's based on 28 drugs only. So how about the
6 remaining 620, which we classified or which we
7 analyzed?

8 What we did, we compared multiple
9 classifications of the TdP risk. And among the 650
10 compounds, drugs, which were found, 80 were
11 classified differently. So 12 percent of drugs
12 were in both groups, TdP plus and TdP minus.

13 It's relatively easy to do it for 28 drugs,
14 but it's a highly theoretical situation. In
15 reality, we've got much more compounds, much more
16 drugs which are on the market, and even more will
17 be introduced during the years.

18 So there is a need for a new robust and new
19 classification, and there's a need for consensus
20 here.

21 Just to give you two examples, the first one
22 is risperidone. Risperidone, which was classified

1 by classification given by Dr. Gary Mirams as safe,
2 by Dr. [indiscernible] as safe. At the same time,
3 it was a TdP plus drug in the Kramer
4 classification. So the question is, where does
5 risperidone lie? Is it a TdP plus or TdP minus
6 drug?

7 When we went through the literature, we
8 found that there's much more than just a drug,
9 which caused the problem. It can be a CYP2D6
10 genotype, which can be a risk factor like a female,
11 sex; age; bradycardia; concomitant use of other
12 drugs.

13 It gives you a bit of flavor that -- TdP
14 does not depend on the drug only. It's a much more
15 complex story of problems. So I think we should
16 look at this wide picture, rather than focus on the
17 drug only.

18 The other one is one of my favorites, I
19 would say, because verapamil is always given as an
20 example of a safe drug. Here is just the result of
21 the very quick query through the intervision [ph]
22 system, and about 60 or 70 problems as for the TdP

1 for this verapamil.

2 It doesn't mean that the verapamil is
3 Torsadogenic because it's not. The problem is it
4 was probably taken by, I don't know, alcoholics
5 with some other drugs and so forth, which again
6 proves that statement, which was given like
7 400 years ago by Paracelsus that "everything is a
8 poison." It's true here. And I think it's a
9 chance, right now, with this new paradigm to go
10 into the details and to analyze more than just a
11 drug.

12 Actually, metabolites were discussed, so
13 here's the good example, I think. It's dolasetron
14 and hydrodolasetron. Dolasetron is a prodrug.
15 It's active, but it's metabolized very, very
16 quickly through 10 or 12 minutes, or half an hour.
17 And then the active compound is a hydrodolasetron.
18 Depending on what and how do we analyze that, we've
19 got a completely different decision or result of
20 this analysis.

21 Dolasetron is safe. Hydrodolasetron is
22 safe, but when it's given intravenously in high

1 dose, as FDA recognized a couple of years ago, it
2 can be a QT prolonging situation or Tdp risk
3 eventually. Why it is like that? Because the
4 concentration of both of these active moieties, for
5 a short period of time, it's high enough to give
6 this kind of situation or this kind of problem in
7 the real-life situation, in the real-life clinical
8 scenario.

9 So again, when you analyze dolasetron, it's
10 safe. Hydrodolasetron, it's safe. I think it
11 should be analyzed concomitantly because a single
12 drug analysis can be misleading, and multiple drug
13 analysis might be necessary, not only from the PK
14 side but from the PD side, as well.

15 Metabolites should be analyzed in parallel
16 because the pharmacokinetic fate of these compounds
17 can play significant role. There is a need to
18 assess, at least theoretically, conceivable
19 extremes. And this is something that we can do
20 with the models and with the approaches, which
21 we've, for example, discussed this morning as a
22 PBPK model because there are tools to accommodate

1 the scenarios. They exist. They can be used,
2 tools or approaches to be more general.

3 What we can do, we can account not only for
4 the information which are drug-specific, like IC50
5 volumes for the inhibition of the currents, but
6 also information about the system, something that
7 we do with the special populations in the PBPK
8 world.

9 What is the tissue volume? What is the
10 cardiac output? But also we can add, on top of it,
11 information about, for example, cardiomyocyte
12 volume to account for hypertrophy of the heart to
13 give the information or the add the information
14 about the plasma concentration, electric
15 capacitance of the cell. All of that information
16 is available.

17 Here is the question, comments to the purely
18 stochastic approach of building population of
19 models. Mathematically or statistically, it is
20 very interesting and very correct. But as
21 Dr. Mirams said, statisticians sometimes don't
22 understand the mechanism. We do understand, at

1 least, partially the mechanism. So we know that
2 there are some physiological parameters which play
3 a role.

4 Therefore, the purely stochastic approach
5 will be probably done in parallel or even replaced
6 by the deterministic approach because this data,
7 this information, or the physiology, which lies
8 behind the electrophysiological activity of the
9 drug, is available.

10 By combining those altogether, we can have a
11 population of interest, population of virtual
12 individuals. Again, this is something that we're
13 aware, and this is something that we are
14 investigating for the PBPK. And the PBPK, it does
15 work.

16 So it is quite likely that if the models are
17 properly parametrized, analyzed, and validated, we
18 can use the same approach in the Torsadogenic risk
19 prediction.

20 What I would like to advocate for is to be
21 somewhere in between the very simplistic models
22 based on the single cell, and electrophysiology of

1 the single cell, and the 3D models, which are
2 really, really computationally costly.
3 One-dimensional heterogenic models can be good
4 enough to give us information about the population,
5 about the heterogeneity, and intra- and inter-
6 individual variability, which we can expect. At
7 the same time, if we have a powerful laptop, it can
8 be run on a simple laptop easily. It shouldn't be
9 a problem.

10 Just to conclude, two last slides, this new
11 approach addresses at least some of the problems
12 which are listed. These three are addressed to
13 some degree at least. Some of them are very, very
14 challenging, and I don't see the possibility to do
15 that very easily, at this stage at least, so much
16 more research is needed.

17 But at least, some of them can be addressed
18 right now with the tools and approaches which we
19 have, like active metabolites, like prodrugs, like
20 the exposure problem, like drugs-triggered
21 physiology modification, like plasma-potassium
22 depletion, for example.

1 It's just a relatively small step, and we
2 have to add on top of the existing models a little
3 bit, and that's something we can do. Obviously,
4 IVIVE is not a remedy, neither in the PBPK world,
5 nor in the TdP risk-prediction world. But it's a
6 tool which is very useful. It can be used to give
7 us more information and to make proper decisions at
8 least, where, for example, virtual scenarios
9 testing at low cost, those extremes which we're
10 interested in, this is something that can be done
11 even now.

12 Trust and validation already exists, and
13 it's still growing. Population analysis can be
14 important, and I think this is something, what we
15 can do with the models, which we already possess.
16 Drug-drug interaction, prodrugs, environmental
17 parameters, all those elements can be analyzed even
18 now.

19 Thank you very much. As for the comments,
20 two questions only. The first one is about
21 EAD-induced -- drugs which induce EAD. The
22 concentration which was tested was up to 25 times

1 the maximum concentration.

2 The problem is, with some of those
3 compounds, we observed the TdP in the clinical
4 settings when we do not reach that level. The
5 problem is whether we can predict with the use of
6 this approach. That's the first thing.

7 The second thing is about the validation of
8 the iPSC cardiomyocytes. As for the PBPK tools, we
9 have to validate or check the performance of the
10 tool which we're using for the PBPK modeling. iPSC
11 cardiomyocytes are models, same as PBPK but softer
12 models, but biological models.

13 So what would be the tool to
14 validate -- what will be the procedure to validate,
15 for example, cardiomyocytes from different vendors?
16 Thank you very much.

17 DR. WALDMAN: Thank you very much for your
18 comments, for your thoughtful comments. Those
19 questions will have to remain rhetorical at this
20 time, but thank you for them.

21 The open public hearing portion of the
22 meeting has concluded, and we're going to take a

1 five-minute break. Please be back in the room at
2 2:47. Thank you. Exactly 2:47.

3 (Whereupon, at 2:43 p.m., a recess was
4 taken.)

5 **Questions to the Committee and Discussion**

6 DR. WALDMAN: Okay. We're trying to be
7 time-sensitive here. All right. We're now going
8 to proceed with the questions to the completed and
9 panel discussions.

10 I'd like to remind public observers that
11 while this meeting is open for public observation,
12 public attendees may not participate except at the
13 specific request of the panel.

14 We will be using an electronic voting system
15 for this meeting. Once we begin the vote, the
16 buttons will start flashing and will continue to
17 flash even after you've entered your vote.

18 Please press the button firmly that
19 corresponds to your vote. If you are unsure of
20 your vote or you wish to change your vote, you may
21 press the corresponding button until the vote is
22 closed.

1 After everyone has completed their vote, the
2 vote will be locked in. The vote will then be
3 displayed on the screen. The DFO will read the
4 vote from the screen into the record.

5 Next, we will go around the room, and each
6 individual who voted will state their name and vote
7 into the record. You can also state the reason why
8 you voted as you did, if you want to. We will
9 continue in the same manner until all questions
10 have been answered or discussed.

11 Any questions from the panel about the
12 process?

13 DR. WALDO: I take it we on the phone will
14 not vote?

15 DR. WALDMAN: The folks on the phone will
16 vote.

17 DR. WALDO: What button do we press?

18 (Laughter.)

19 DR. WALDMAN: I'm looking to my learned
20 colleague on the left her for the answer to that
21 question. You'll be emailing your vote to Yvette
22 Waples.

1 Is that clear for the phone folks?

2 UNIDENTIFIED MALE: Yes, it's clear.

3 DR. WALDO: He will email us an email, and
4 we then vote; is that it?

5 DR. WALDMAN: You will email your vote on
6 each of the questions to Yvette.

7 DR. WALDO: I'm not sure I know who Yvette
8 is.

9 DR. WALDMAN: Yvette, maybe you want to send
10 them an email.

11 Yes, we're going to take this offline and
12 get you squared away in terms of the -- I can see
13 Yvette moving to the phone lines. She will help
14 you guys on the phone.

15 DR. WALDO: Thank you.

16 DR. WALDMAN: All right.

17 What I'm going to do is what we did earlier
18 today. I'm going to read the introductory
19 paragraph, and then the first question, and then
20 we're going to open it for discussion. Once the
21 discussion is completed -- parsimonious discussion,
22 I might add.

1 Once the discussion is completed, I'll try
2 and summarize, and then we're going to take the
3 vote. We'll do that for each of the three
4 questions.

5 Does that make sense to everybody? Okay.
6 Let me read the opening paragraph.

7 CiPA is a fit-for-purpose assay that will
8 utilize in silico computational model of the human
9 ventricular cardiomyocyte to serve as the primary
10 prediction of proarrhythmic risk with additional
11 preclinical checks to ensure that drug effects on
12 repolarization are not missed.

13 Electrocardiograms will still be assessed in
14 phase 1 clinical studies with exposure-response
15 modeling to determine if there are unexpected ion
16 channel effects that were not observed in the
17 preclinical assessments.

18 Question 1, which is up for discussion and
19 then for a vote, for QT prolonging drugs, will this
20 mechanistic model-based approach be fit for the
21 following two applications: first, determining
22 whether ECGs need to be collected in phase 3; and

1 second, informing proarrhythmic risk language in
2 drug labeling?

3 This question is open for discussion by the
4 panel. Let me take the chair's prerogative and
5 just pick on people because I know people want to
6 talk. Dan?

7 DR. RODEN: My job is to try to hone in on
8 some big questions, not get down in the weeds,
9 which we can do offline. I still think the issue
10 of disease is an important one. Al raised it; I
11 raised it. Let me cast it a different way.

12 If you were to construct a model of a cell
13 or a multicellular syncytium behaving and
14 misbehaving, one of the things that you might
15 include in a model is how activation of
16 intracellular signaling systems or altered channel
17 synthesis or altered channel trafficking would play
18 into that. And the models lend themselves to
19 modeling that if you have that information.

20 One of the things I haven't said out loud,
21 but I will say now, is that IKr block is one
22 mechanism whereby you can prolong the QT. I think

1 there's literature -- it comes from our lab and it
2 comes from other labs -- that inhibition of
3 PI 3-kinase signaling in cardiomyocytes is
4 additional and potentially a very important one.

5 I think it's important because unlike IKr
6 block, which is static, every single heart beat has
7 an IKr, this is an example of something that can
8 and go, make the QT longer, make the QT shorter.

9 At the very least, I think that needs to be
10 incorporated into CiPA's thinking, and so I have my
11 question. And my question has to do with why the
12 specific drugs that you chose were chosen; they
13 seem to be the easy cases.

14 Nilotinib is not on the list, and it's a QT
15 prolonging drug that doesn't block IKr, number one.
16 Number two, vanoxerine, which is a drug that is an
17 IKr blocker, was thought to be dangerous; then went
18 through a CiPA-like process, a ChanTest; was then
19 declared multichannel blocker and therefore safe;
20 went into clinical trials, looked okay at the
21 beginning. Those clinical trials have now been
22 stopped because of a very high incidence of Torsade

1 in patients with atrial fibrillation.

2 So that drug, at the very least, should be
3 on the list, not drugs like dofetilide. Dofetilide
4 will never get very far because it's a high potency
5 IKr blocker. In every system, it prolongs the QT,
6 and it's like it's anchoring your thinking because
7 it's at one end of the spectrum. Metoprolol is at
8 the other end of the spectrum. It's not really
9 going to do anything to anything.

10 So having drugs in the middle that have this
11 spectrum of risk will actually perform the process
12 better than a bunch of drugs, which you know. So I
13 think that's my major comment.

14 DR. WALDMAN: Can we get response from the
15 agency? David, I'm going to pick on you to start.

16 DR. RODEN: It's sort of a suggestion.

17 DR. STRAUSS: David Strauss.

18 Dr. Roden, yes, we agree that there could be
19 additional drugs to assess beyond these 28 drugs,
20 and it will not stop after 28 drugs, but we wanted
21 to start with a core group of drugs that a group of
22 experts could come to consensus on their

1 categorization. You brought up a couple of
2 important drugs. Nilotinib, but it's not on the
3 official CiPA list. We have studied it some in
4 these assays already, both ion channels and
5 cardiomyocytes. Vanoxerine, we're aware of that
6 one, and recently went through clinical trials, and
7 it could be a drug that could be added.

8 DR. RODEN: The business of the PI 3-kinase
9 signaling, CaM-kinase signaling, PKA signaling, PKC
10 signaling, all those things affect some of the
11 currents that you're studying. The models are
12 static until those elements are incorporated.

13 I'm not sure how to -- maybe the guy from
14 Oxford or Manchester. How do you think about that?

15 DR. MIRAMS: Gary Mirams, University of
16 Nottingham. I'd agree that we want to end up in a
17 place where we're including PI 3-kinase, the
18 different disease states, and doing predictions for
19 those different things.

20 The only thing that's stopping us doing
21 that, I suppose, is having models that we trust for
22 each of those aspects. If I had to put a timeframe

1 on it, I'd say 10 years whereas there is a
2 realistic possibility of getting a model we trust
3 for healthy myocyte in one year's time.

4 DR. WALDMAN: If I could focus the
5 discussion just a little bit, I'm going to reread
6 number 1 because I want to make sure we're
7 specifically addressing the question that's being
8 asked of us.

9 This question says: For a QT prolonging
10 drug -- so presumably, the drug is going to show up
11 as a -- it's a true positive in CiPA. I mean
12 that's what I read in this first clause.

13 DR. STRAUSS: Can I clarify?

14 DR. WALDMAN: Yes.

15 DR. STRAUSS: It's positive in that it
16 prolongs QT, but that CiPA could say it is a
17 low-risk drug, or it could say it causes Torsade,
18 and so -- yes.

19 DR. WALDMAN: Okay. With that caveat, that
20 clarification of this question, for a QT prolonging
21 drug, will this mechanistic model be fit for
22 determining whether ECGs need to be collected in

1 phase 3 and for, informing proarrhythmic risk
2 language in labeling?

3 That's the question for discussion right
4 now.

5 DR. RODEN: Mean by a QT prolonging drug?

6 DR. STRAUSS: Well, we haven't specified
7 that specifically here. As Dr. Garnett presented,
8 the thinking can differ based on the amount of QT
9 prolongation. But we're not asking for an -- it's
10 always going to result -- if there's this amount of
11 QT prolongation and this output in the
12 proarrhythmic risk score, this will always happen
13 in terms of what you'll put in labeling. But we
14 want to know if this is going to inform labeling,
15 especially for the drugs at the margin.

16 DR. WALDMAN: Xander, and then Dr. Cloyd.

17 DR. VINKS: I just have a technical
18 question. Do we have one answer or are we going A
19 and B?

20 DR. CLOYD: The way this question is
21 phrased, you have the word "will." I'm guessing
22 what FDA wants to know, is it ready today? If

1 that's not the case, can you clarify what your
2 intent is with this question?

3 DR. STRAUSS: No, we're not asking if it's
4 ready today. There are a series of -- I mean there
5 are a series of validation -- today, as in
6 March 15th, there are a series of validation
7 studies that will be completed in this calendar
8 year, over the next year, and there will be further
9 discussion with the ICH group.

10 Assuming that the validation studies come
11 out positive, supporting that you can differentiate
12 risk, would this, at a high level, be fit for
13 informing detailed ECG collection in phase 3 and
14 informing the proarrhythmic risk language in drug
15 labeling?

16 DR. WALDMAN: To echo check what I just
17 heard, if everything works out in the future with
18 the other studies that they're doing, and it all
19 works out well, does this question get a yes vote
20 or no vote? That's what I'm hearing.

21 DR. CLOYD: I'm willing to attempt to answer
22 this, but you understand it's conditional. And if

1 you had us to come back in, what was it, 18 months,
2 you present the new data, it could well be that the
3 answer changes.

4 Just be clear that you may have something
5 that looks promising, and on that basis, I might
6 say, yes, it could be. But it's different than
7 saying, it's ready for prime time.

8 DR. STRAUSS: Yes, we understand that.

9 DR. WALDMAN: Other questions from our
10 panelists? Dan? Other folks here? Yes, Dr. Sun?

11 DR. SUN: What does "informing" mean? Is
12 that a requirement to put it on the label?

13 DR. GARNETT: Right now, if the drug
14 prolongs QT above this 10-millisecond threshold,
15 that therapeutic exposure, it pretty much results
16 in the drug having some type of warnings and
17 precautions on the label.

18 The question is, for this QT prolonging
19 drug, could we modify that language to say, do we
20 need warnings and precautions for every QT
21 prolonging drug, or can we use this proarrhythmic
22 assay to be able to differentiate between those

1 which we believe will be Torsadogenic versus those,
2 like ranolazine, that we believe do not cause
3 arrhythmias?

4 DR. WALDMAN: Thank you. Can I ask anybody
5 on the phone if they have clarifying discussion,
6 comments to make at this point? I'm going to go
7 down the list as we do just to make sure that
8 everybody is included.

9 Dr. Arkus, any comments?

10 MS. ARKUS: Yes. Ms. Arkus. I have a
11 question about whether or not perhaps a monitor
12 could be used on high-risk patients in case this
13 does receive approval from the panel.

14 DR. WALDMAN: Can you repeat the question?
15 It's hard to hear you.

16 MS. ARKUS: I'll take it off the speaker. I
17 was inquiring about whether extra monitoring could
18 be incorporated during this phase of study, and
19 this mechanistic model going into place because
20 there are some high-risk patients, as Dr. Waldo
21 pointed out; perhaps inserting a link, maybe a
22 monitor, something like that, a protocol.

1 DR. STRAUSS: Yes, monitoring can always be
2 implemented in a high-risk patient population, and
3 decisions are made on a specific drug basis.

4 DR. WALDMAN: Thank you. Dr. Cook, any
5 questions?

6 DR. COOK: No questions.

7 DR. WALDMAN: Thank you. Dr. Tenjarla,
8 questions?

9 DR. TENJARLA: No questions. Thank you.

10 DR. WALDMAN: Thank you. Dr. Waldo,
11 questions?

12 DR. WALDO: Yes, just a quickie, actually
13 that Dan Roden talked about. That's another issue
14 that we touched on only briefly, I think.

15 The reason that that drug -- there were
16 three cases of Torsade, but each of them was very
17 crazy. One of them had a potassium level that was
18 very, very low. I'm trying to remember what it
19 was, but I don't. I know that it had a magnesium
20 level that was 0.9 or something. The third one had
21 a similar sort of thing.

22 Oh, yes, the third one that was

1 taking -- was given another QT prolonging drug that
2 wasn't an antiarrhythmic drug. I mean, how you
3 judge some of these things is really an issue, and
4 what to put on the list. I mean if you're going to
5 give drugs that prolong the QT and don't pay
6 attention to -- I mean, those patients all were
7 contraindicated to answer the study. It was done
8 in Hungary, and that was a major problem.

9 I'm not sure where that fits in what we're
10 discussing now, except Dan's comments were
11 important. But I think basically that actually got
12 in trouble undeservedly because of violations of
13 protocol and giving a QT prolonging drug in the
14 face of blatant problems, for what that's worth.

15 DR. STRAUSS: Just to summarize, you're
16 saying that the Torsade cases with vanoxerine may
17 have been due to concomitant factors, and it's hard
18 to attribute it to the drug. Whether that's true
19 or not, I think, is not critical for this specific
20 question. But there's very often concomitant
21 factors with all Torsade cases.

22 DR. WALDO: Well, I tell you, I know that

1 drug very well because we studied it in my lab in
2 animal models, and I followed that all the way, on
3 the steering committee. And it was very
4 disappointing what happened. I think it was a
5 small drug company, a start-up company that was
6 doing the study.

7 Anyway, we don't have to discuss that here.
8 I think it's an issue, though, if a drug is
9 eliminated [indiscernible] because of grossly
10 inappropriate use, I don't know. It seems to me
11 that that shouldn't be a black mark.

12 DR. WALDMAN: Christine, do you have --

13 DR. GARNETT: I just wanted to clarify what,
14 at least, my interpretation of A is. Right now, if
15 a drug prolongs the QT greater than 10 milliseconds
16 at therapeutic exposures, it requires additional
17 expanded ECGs in phase 3 to understand the
18 proarrhythmic risk.

19 What we're asking is, can this proarrhythmic
20 CiPA assay modify that recommendation where we feel
21 comfortable not doing that expanded or some type of
22 less-than-extensive in patients? Maybe targeted

1 patients may be okay, but we're looking at would
2 those recommendations change?

3 DR. WALDMAN: Very good. Dr. Li, do you
4 have any questions, on the phone?

5 DR. T. LI: No. No, I don't have any
6 questions.

7 DR. WALDMAN: Thank you very much.

8 Panelists, any other clarifying questions?
9 Yes, Dr. Awni?

10 DR. AWNI: I was going to ask
11 Christine -- this is Walid Awni -- are you assuming
12 that you're going to get some data from the
13 patient, target patient population in phase 2A/2B
14 before you go to phase 3, with regard to the QTc?

15 DR. GARNETT: Well, right now, if we apply
16 the paradigm as is, we're getting the ECGs in
17 healthy volunteers in phase 1 for the thorough QT
18 study. So that's going to trigger, if it's a QT
19 prolonging drug, whether you need to expand your
20 ECG collection in patients. So that would include
21 the phase 2 and the phase 3.

22 DR. WALDMAN: Other questions?

1 (No response.)

2 DR. WALDMAN: To briefly summarize the
3 discussion, I think what we're hearing is a theme
4 of the CiPA paradigm has utility. It is utility
5 that is limited only in that it currently doesn't
6 consider the other attributes of patient
7 pathophysiology, intrinsic and extrinsic factors,
8 comorbidities, concomitant medications, et cetera,
9 things that we discussed before.

10 Many of the panelists, in their own way,
11 raised these individual issues. They've been
12 addressed by the FDA in the current thinking about
13 these models.

14 I think at the end of the day, to summarize
15 the discussion, I think Dr. Cloyd's comment of the
16 vote that we take on this question is going to be a
17 conditional vote, at the end of the day, with the
18 caveats that there's a lot of work that still needs
19 to be done in order to make this ready for prime
20 time, to coin the phrase.

21 Did I capture --

22 DR. CLOYD: Yes, sir.

1 DR. WALDMAN: Okay. With that summary,
2 we're going to vote. I think we're going to vote
3 on both A and B individually, so individually, and
4 the voting is in front of you, on your microphones.
5 We're all familiar with how this works? A yes is a
6 yes, a no is a no, and an abstain is an abstain.

7 Okay, just making sure.

8 DR. RODEN: Mine says, plus, zero, and
9 minus.

10 DR. WALDMAN: Say it again?

11 DR. RODEN: Mine says, plus, zero, and
12 minus. Is that yes, no, and maybe?

13 DR. WALDMAN: It's yes, no, and -- not
14 maybe. Abstain.

15 DR. RODEN: I was only looking at the
16 flashing lights. I was looking at the --

17 DR. WALDMAN: Yes, look above them, to the
18 writing.

19 DR. RODEN: Everybody, look above the
20 lights.

21 DR. WALDMAN: Okay. Ignore the flashing
22 lights.

1 All right. Let me read the question, and we
2 will take a vote. Are we ready? Yes? Okay.

3 For a QT prolonging drug, will this
4 mechanistic model-based approach be fit for the
5 following two applications: A) -- and we're going
6 to vote -- determining whether ECGs need to be
7 collected in phase 3. Please vote yes, no, or
8 abstain.

9 (Pause.)

10 DR. WALDMAN: My colleague tells me we're
11 hanging. We're waiting for the phone people to
12 complete their voting.

13 (Vote taken.)

14 DR. SHEPHERD: For the record, the vote is
15 11 yes, 2 no, zero abstain, zero no voting.

16 DR. WALDMAN: So we're going to now go
17 around the table and read it into the record with
18 our names. Does that make sense to everybody?
19 I'll start. Yes, we have to do it in the auditory
20 record. Yes, we actually have to read it.

21 Scott Waldman. I voted yes.

22 DR. CLOYD: You're asking, what?

1 DR. WALDMAN: State your name and what you
2 voted.

3 DR. CLOYD: Jim Cloyd. I voted no, and it's
4 a conditional no. The evolution of this model
5 could well result in me changing that vote sometime
6 in the future.

7 DR. SUN: Duxin Sun, voted for yes.

8 DR. RODEN: Dan Roden. Mine was a
9 conditional yes to balance Jim's conditional no,
10 for exactly the same reasons.

11 DR. POLLI: James Polli. I voted yes.

12 DR. VINKS: Xander Vinks. I voted yes.

13 DR. COLLINS: Jerry Collins. I voted no.

14 If we had the data that's going to be available
15 next month or next year for the two studies
16 ongoing, we could look at it. I can't
17 conditionally say what would be compelling and what
18 wouldn't be without seeing the data.

19 DR. AU: Jessie Au. I voted yes because the
20 background, it's not a whole lot different from
21 what you're doing now. It's a supplementary test,
22 so I thought no harm done.

1 DR. AWNI: I didn't vote (off mic).

2 DR. SLATTUM: This is Patricia Slattum. I
3 voted yes with the conditions we've already
4 discussed.

5 DR. CARRICO: I'm Jeff Carrico. I voted
6 yes.

7 DR. WALDMAN: Okay. We're going to ask the
8 folks on the telephone what they voted.

9 Dr. Arkus, what did you vote?

10 MS. ARKUS: Ms. Arkus votes yes.

11 DR. WALDMAN: Thank you. Dr. Cook?

12 DR. COOK: Not a voting member, not allowed
13 to vote. Jack Cook.

14 DR. WALDMAN: Okay. Dr. Waldo?

15 DR. WALDO: I voted yes.

16 DR. WALDMAN: Dr. Li?

17 DR. T. LI: Yes, I voted yes.

18 DR. WALDMAN: Terrific. I think we've got
19 everybody. Thank you for that very efficient
20 process. Let's do it again.

21 (Laughter.)

22 DR. WALDMAN: I'm not going to read that

1 question again.

2 (Laughter.)

3 DR. WALDMAN: Fit for the following two
4 applications, we already did A; let's do B.
5 Informing proarrhythmic risk language in drug
6 labeling, please vote yes, no, abstain, or no
7 voting on your microphone. And the lights are
8 flashing so you can vote.

9 (Vote taken.)

10 DR. WALDMAN: All right. Surprise.

11 (Laughter.)

12 DR. SHEPHERD: For the record, the vote is
13 11 yes, 2 no, zero abstain, zero no voting.

14 DR. WALDMAN: All right. And we're going to
15 read that now into the record. So this is Scott
16 Walden, and I voted yes.

17 DR. CLOYD: This is Jim Cloyd. I voted yes.
18 And I voted yes here because you're able to combine
19 the model with clinical data that's been collected
20 on these already approved drugs.

21 DR. SUN: Duxin Sun. Yes.

22 DR. RODEN: Dan Roden. Yes.

1 DR. POLLI: Jim Polli. No, in part because
2 I took a sunny view for the last question. This
3 one, I took a less sunny view. And for the same
4 reason that Jim said, I said, well, you don't
5 necessarily need it, so why include it?

6 DR. VINKS: Xander Vinks. I voted yes.

7 DR. COLLINS: Jerry Collins. Voted no. I
8 thought it was consistent with my first vote.

9 DR. AU: Jessie Au. I voted yes, same
10 reason, A.

11 DR. AWNI: Walid Awni. I didn't vote,
12 consistent with my first vote.

13 (Laughter.)

14 DR. WALDMAN: Very consistent.

15 DR. SLATTUM: Patricia Slattum, voted yes.

16 DR. CARRICO: Jeff Carrico, yes.

17 DR. WALDMAN: Okay. On the phone,

18 Dr. Arkus, your vote?

19 MS. ARKUS: I voted yes.

20 DR. WALDMAN: Thank you. Dr. Cook?

21 DR. COOK: Again, I can't vote.

22 DR. WALDMAN: Okay. Got it.

1 Dr. Waldo?

2 DR. WALDO: I voted yes.

3 DR. WALDMAN: Good. Dr. Li?

4 DR. T. LI: I voted yes.

5 DR. WALDMAN: Terrific. Okay. I think
6 that's everybody.

7 All right. We're going to now move to the
8 next question. The question -- and this will
9 entail some discussion, and then we'll move to a
10 vote -- does the committee agree with the proposed
11 approach for validating the new paradigm that
12 involves assessing 28 drugs classified into low,
13 intermediate, and high risk by an expert panel?

14 I'm going to turn to my learned colleague on
15 the right, Dan, because you raised this in the last
16 piece of the discussion.

17 DR. RODEN: If I had to vote -- well, I'm
18 not going to say what I would vote. I think there
19 are other drugs that need to be included, and there
20 are other pieces of modeling that need to be
21 included. I already talked about that.

22 The other issue that we haven't talked about

1 much is the iPS cells themselves. I recognize, we
2 all recognize, that this is rapidly-evolving
3 technology. Incredibly, just to back up for one
4 second, those of us who have studied things like
5 the congenital long QT syndrome have wanted to get
6 myocytes from patients for years. So this is a
7 fun, fun time to be a biologist in this area.

8 That said, two of the channels that you want
9 us -- two of the currents you want to study, the
10 IKs and IK1, almost absent from the current
11 myocytes that we study. So they're very immature.
12 They're not really human adult myocytes yet; they
13 will be. Somebody will figure out how to do that.

14 I'm a little nervous about the primacy of
15 the iPS cells in this paradigm, and I'm nervous
16 about the choice of the drugs, because I think a
17 wider choice of drugs with a wider spectrum -- and
18 we're learning about other mechanisms that need to
19 be included. Those are my comments, I think.

20 DR. WALDMAN: I have a clarifying question
21 for the agency about this question. It says 28
22 drugs, but it doesn't actually say which drugs. It

1 doesn't say it has to be the 28 drugs that we saw
2 in the slides; it just says 28 drugs. And it's 28
3 drugs that are picked by an expert panel.

4 So the question, does it have to be 28
5 drugs, or can it be something else? Does it have
6 to be the drugs on that panel, or can it be other
7 drugs that the expert panel selects?

8 DR. STRAUSS: David Strauss. That is
9 referring to the 28 drugs that have already been
10 classified. Am I able to make a comment in
11 response to Dr. Roden's -- yes, so regarding the
12 iPS myocytes, we agree and know that they don't
13 have this IK1 and IKs currents currently, and
14 they're still maturing. That's the reason that
15 they're not the primary proarrhythmic risk
16 prediction here, and we're using the in silico
17 model as the proarrhythmic risk prediction. If the
18 myocytes were perfect, we could probably just use
19 them, but that's why we don't that currently.

20 DR. RODEN: If you could drive them into the
21 Purkinje lineage, it would be even better. I just
22 have to say this, don't say "IKs current." The "I"

1 in "IKs" stands for current.

2 DR. WALDMAN: Duly noted.

3 DR. RODEN: Data R.

4 DR. WALDMAN: Other questions, discussion
5 from the committee? Duxin?

6 DR. SUN: I actually have a question to
7 follow up with Dan. You raised a good point that
8 the drug is not on the list. Based on your
9 experience, the data changes to low, intermediate,
10 high-risk category?

11 DR. RODEN: I think the drugs that they've
12 chosen are in the -- they're in the right
13 categories. I just think that there are other
14 drugs that have emerging mechanisms, and emerging
15 clinical contradictions that would be really good
16 to put into this model.

17 I have confidence that you guys follow the
18 literature better than I do, and know about those
19 things, and will incorporate those. That's why I
20 don't like this 28 drugs -- I'd prefer if you chose
21 a little more expansive in the choice of drugs.

22 DR. WALDMAN: Yes, but we have the question

1 that's in front of us, and that's the issue.

2 DR. SUN: You have concern for 28 drugs, but
3 you don't have a concern for the three categories?

4 DR. WALDMAN: Let me fine-tune that
5 question. Do you have concerns for those 28 drugs?
6 What I'm hearing is, the categorization is good.
7 The three categories is good. The 28 drugs are all
8 good. We just want more drugs on there that
9 represent new, and evolving, and emerging
10 paradigms.

11 I'm just trying to fine-tune this
12 discussion.

13 DR. RODEN: You say "we," I say "me," I say
14 "I."

15 DR. CARRICO: Jeff Carrico. The way that
16 I'm interpreting this -- and I'd love to hear your
17 answer -- is that these 28 drugs are to validate
18 this, and then you will move on to other
19 medications as you see fit.

20 So the question we're being asked is, are we
21 okay with this way of validating CiPA? Is that a
22 fair summary?

1 DR. STRAUSS: Yes, I think so.

2 DR. CLOYD: That's not what I heard. I
3 heard this way is the 28 listed drugs.

4 DR. WALDMAN: You heard right.

5 DR. CLOYD: Okay.

6 DR. WALDMAN: The consensus -- I'm going to
7 summarize early.

8 (Laughter.)

9 DR. WALDMAN: The consensus around the
10 table, so far, the three categories is good. The
11 28 drugs is good. What's not exactly here yet are
12 additional drugs that are emerging with new
13 molecular paradigms that could even fine-tune this
14 further.

15 I'm actually not trying to steer the
16 committee. I'm just trying to fine-tune how we're
17 thinking about the specific question being asked.
18 the three categories are good; the drugs that are
19 on the list are good. The list will benefit, in
20 the future, from additional drugs. That's what
21 we're hearing.

22 Does that make sense? Anybody want -- yes,

1 please, Jerry and then Jessie.

2 DR. COLLINS: At the of the day, the purpose
3 of this committee is to provide advice to the FDA.
4 I think they've had plenty of time to hear our
5 advice.

6 DR. WALDMAN: Jessie, you want to come up
7 behind that comment?

8 DR. AU: No, not that one. I think Dan's
9 point is, to me, a very serious one because if you
10 have well-known drugs that you should challenge
11 your model against and you haven't done it, being a
12 scientist, meaning I've very negative to begin
13 with, I want to see that, why don't you do it?
14 It's so obvious. You nodded your head when Dan
15 mentioned those two drugs, so you knew they were
16 there.

17 Sitting here today, my answer to that is,
18 it's not how you say it's better to have more
19 drugs. I am saying I'm actually not optimistic at
20 this moment. I wouldn't go that far and say more
21 drugs are good. I'm saying you know those drugs
22 are there; they are problems. Why are you not

1 attacking them with your models and see if your
2 model start to fall apart? This is what I'm
3 worrying about.

4 DR. WALDMAN: Do you want to respond to
5 that, or should I just move on?

6 DR. STRAUSS: There was a committee that
7 came up with these drugs a couple of years ago, and
8 they selected drugs that they could come to
9 consensus on, and there are some additional drugs
10 out there that would be very interesting to study.

11 We're not going to study every drug that's
12 ever come into humans. So I think the question is,
13 is 28 drugs a reasonable number to rack it into
14 general high, low, and intermediate risk
15 categories?

16 DR. WALDMAN: Okay. Let me move to the
17 telephone folks and find out if they have any
18 comments.

19 Dr. Arkus, any questions?

20 MS. ARKUS: Well, I'm wondering -- there is
21 a 28-drug list. Are these also referring back to
22 question 1B about those particular drugs being

1 labeled as proarrhythmic risk?

2 DR. WALDMAN: It's a little difficult to
3 hear your question, but I'm going to suggest that
4 the 28-drugs on the list are to validate the CiPA
5 model, if that answers your question.

6 MS. ARKUS: Is that going backwards to the
7 other question, 1B, does that mean that those 28
8 drugs are the ones that will be labeled as risk
9 drugs, as the proarrhythmic risk drugs, those 28?

10 DR. WALDMAN: Did you hear her question,
11 David?

12 DR. GARNETT: I understood the question that
13 the high and intermediate -- I haven't gone through
14 to look at all of them, but those would likely be
15 labeled, whereas the low risk would not be labeled,
16 if I just remembered --

17 DR. WALDMAN: Generally, the answer to her
18 question is yes.

19 MS. ARKUS: My concern is that there's just
20 going to be a measure of comfort by consumers that
21 those drugs are to be avoided, but others are okay.
22 That's my comment. I'm thinking we might be giving

1 the wrong message to consumers that something else
2 is safe when it's not.

3 DR. WALDMAN: I'm actually going to --

4 DR. STOCKBRIDGE: Norman Stockbridge, FDA.
5 The 28 that we're trying to validate with are 28
6 where we had confidence. We knew what the
7 proarrhythmic risk was. So there's no concept here
8 of running them through CiPA and then relabeling
9 them. Okay?

10 There are some others that are labeled that
11 maybe are ripe to get an analysis done that will
12 relabel them. But the 28 that are on the table, we
13 understand their true proarrhythmic risk.

14 DR. WALDMAN: Essentially, that risk is a
15 list of true positives and true negatives.

16 DR. STOCKBRIDGE: Correct.

17 MS. ARKUS: Okay. When you get a label on a
18 drug that says this drug is a proarrhythmic risk of
19 a certain number, 1, 2, 3, does that take into
20 account the comorbid conditions of that particular
21 patient? Is it more specific to the patient?

22 DR. STOCKBRIDGE: I can answer that, too.

1 We strove to find a list of drugs whose
2 proarrhythmic risk was not tainted by the risk of
3 the underlying population.

4 This whole exercise is about trying to
5 identify properties of a drug. And it is certainly
6 true that if you take a drug of some proarrhythmic
7 potential and put it in a vulnerable population,
8 you're going to see Torsade cases. And if you put
9 it in patients who are at low risk, you won't see
10 anything at all, no matter how proarrhythmic it is.

11 So this is really about trying to identify
12 fundamental properties of the drug.

13 DR. WALDMAN: Thank you.

14 MS. ARKUS: Understood. All right. Thank
15 you.

16 DR. WALDMAN: You're welcome.

17 Dr. Waldo?

18 DR. WALDO: I vote yes.

19 (Laughter.)

20 DR. WALDMAN: What did he say?

21 DR. WALDO: I have no further questions. I
22 vote --

1 DR. WALDMAN: Dr. Cook?

2 DR. COOK: I have one comment, one question.
3 The comment is that when evaluating the drugs, in
4 addition to having the three different categories,
5 you may want to also have maybe -- I guess you'd
6 call it in a clinical study a key secondary
7 parameter. It's something done on a continuous
8 scale, only because a drug that's very high in
9 class 2 may have a similar potential with something
10 that's very low in class 3, class 3 being the
11 greatest risk.

12 The other question I actually have is not
13 directed to -- it's directed to one of the
14 panelists on a presentation he made a few years
15 ago, Dr. Roden. And it concerns a study in the
16 European Heart Journal done with sotalol, where
17 they took two groups, one that had actually
18 suffered Torsade and a control group.

19 What I remember from that particular talk is
20 how the two responses were intrinsically different.
21 And I wonder if there is a chance that we need to
22 collect more data to understand the

1 electrophysiology in the Torsades group better.

2 DR. WALDMAN: Dan, you want --

3 DR. RODEN: I will echo what Dr. Stockbridge
4 said, that I think the intent here is to identify
5 drug entities that might impose a risk and not to
6 figure out whether a patient who is sitting in
7 front of us or in the CCU is at low, medium, or
8 high risk. It's to find drugs that are at risk.

9 So sotalol is a drug that's at risk in that
10 study, which I may have shown a slide of, but I
11 certainly was not involved in and would never get
12 by an IRB on this continent because it involves
13 re-challenging people who have had this reaction
14 with the drug again. Anyway, it's a long story.

15 So I think that we knew sotalol was at risk,
16 and sotalol would come out as a high-risk drug, is
17 a high-risk drug in this paradigm.

18 So the whole discussion of which patients
19 are at high-high risk is a separate one. I've
20 raised it because of this signaling business and
21 atrial fibrillation and all that because I think
22 that gives you some insights into mechanisms that

1 might then define what drugs are at risk.

2 DR. COOK: What I wondered was if one of the
3 pathways was altered or different in individuals
4 that develop Torsades as opposed to those that
5 don't.

6 DR. RODEN: Yes, no. There is a possibility
7 that there are other mechanisms besides IKr block,
8 which is the focus here. And that's one of the
9 things that -- I think this paradigm has the
10 advantage that it can incorporate new models and
11 new mechanisms.

12 DR. WALDMAN: Very good.

13 Dr. Tenjarla, questions?

14 DR. TENJARLA: No questions from me. Thank
15 you.

16 DR. WALDMAN: Thank you.

17 Dr. Li, any questions?

18 DR. T. LI: No questions. Thanks.

19 DR. WALDMAN: Okay. Any more discussion or
20 questions from the assembled?

21 DR. AU: Sorry. No discussion. I just want
22 to point out that it's not 28 drugs that were

1 validated. Twelve of those were used for training
2 data sets, so we only validated 16.

3 DR. WALDMAN: So the validation --

4 DR. AU: It's only 16 drugs.

5 DR. WALDMAN: Point of information, it's
6 28 drugs on the list, 12 were used for training,
7 and then 16 will be used for validation. Yes.
8 Yes. Okay.

9 So we're up to the voting stage. Telephone
10 guys, we're going to vote. I'm going to read, and
11 then we're going to vote.

12 Does the committee agree with the proposed
13 approach for validating the new paradigm that
14 involves assessing 28 drugs classified into low,
15 intermediate, and high risk by an expert panel?

16 The vote is yes, no, abstain.

17 (Vote taken.)

18 DR. SHEPHERD: For the record, the vote is
19 10 yes, 3 no, zero abstain, zero no voting.

20 DR. WALDMAN: Okay. We're going to
21 individually read this into the record. So Scott
22 Waldman voted yes.

1 DR. CLOYD: I voted no, and I was persuaded
2 by Dr. Roden's eloquent comments about the addition
3 of selected additional drugs.

4 DR. SUN: Duxin Sun. Yes.

5 DR. RODEN: Dan Roden. No. And again, I
6 emphasize that I like the paradigm. I just think
7 that there need to be other drugs because there are
8 these emerging mechanisms.

9 DR. POLLI: Jim Polli. Yes.

10 DR. VINKS: Xander Vinks voted yes, and I
11 appreciate the additional clarification that was
12 given, 28 drugs were selected and [inaudible - mic
13 fades].

14 DR. COLLINS: Jerry Collins voted yes. It's
15 a good set of 28 drugs, and the agency has heard a
16 lot of comments about the value of additional
17 drugs.

18 DR. AU: Jessie Au. I voted no.

19 DR. AWNI: Walid Awni. I did not vote.

20 DR. WALDMAN: Dr. Arkus -- oh, I'm sorry.
21 Patty? Sorry.

22 DR. SLATTUM: Patty Slattum. I voted yes.

1 DR. CARRICO: Jeff Carrico. I voted yes
2 because I'm focusing on the word "validation."

3 DR. WALDMAN: Now, Dr. Arkus, what did you
4 vote?

5 MS. ARKUS: Yes, with previously spoken
6 reservations.

7 DR. WALDMAN: Okay. Reservations duly
8 noted.

9 Dr. Waldo?

10 DR. WALDO: I voted yes.

11 DR. WALDMAN: Very good. Dr. Li?

12 DR. T. LI: I voted yes.

13 DR. WALDMAN: Thank you very much.

14 All right. We're in the homestretch. We're
15 on to the third question. Let me read it, and then
16 we'll have discussion and then vote.

17 As this new mechanistic model-based approach
18 is implemented, should FDA collect the world's
19 experience, that is digital waveform data from
20 in vitro experiments, to facilitate future
21 enhancements as was done by the FDA with the ECG
22 warehouse for QT studies?

1 Can we have some discussion?

2 DR. AWNI: Walid Awni. I was going to ask
3 at the beginning, do you expect that these will be
4 in similar format. I know with the ECGs,
5 consistent machine, different machine that you
6 collected. But will there be quite a bit of
7 variability across labs? This is from everybody,
8 basically.

9 The other thing is what do you expect to do
10 with the data? Because there's 9.7 million ECGs,
11 for example, from 500 studies, thorough QTc
12 studies, so now what to do with it? Because it's
13 actually quite a bit of cost in providing you with
14 it.

15 It's not difficult, but it just takes
16 resources to actually do it, and should this be
17 more targeted toward a certain set of drugs or a
18 certain set of information to make it easy for you
19 and the other company?

20 DR. STRAUSS: David Strauss. There's
21 already a working group that includes industry
22 members and device manufacturers of these assay

1 systems to standardize the output so we can have
2 one format.

3 Your second question, what would we do with
4 this data, we want to continue to learn and improve
5 methods going forward. The community was able to
6 do that with thorough QT studies, and so we
7 envision something similar.

8 DR. AWNI: Thank you.

9 DR. WALDMAN: Jerry?

10 DR. COLLINS: I just had the same question
11 so I got my answer.

12 DR. WALDMAN: Very good.

13 Dan, questions?

14 (No response.)

15 DR. WALDMAN: Any other questions from the
16 panelists?

17 DR. RODEN: It's hard to argue with more
18 data. And it's a matter of the format, and what
19 you're going to do with it, and how you're going to
20 store it, and how accessible. It's impossible to
21 say it's a bad thing, I think.

22 DR. WALDMAN: I agree. Any other discussion

1 by the panel here?

2 (No response.)

3 DR. WALDMAN: Let me go to the phone folks.

4 Dr. Arkus, any comments about this?

5 MS. ARKUS: No comments.

6 DR. WALDMAN: Good.

7 Dr. Cook?

8 DR. COOK: A clarifying question, the extra
9 resources. Will there be any requirements as far
10 as conducting the data that go into the thought
11 along the GLP, or will just a statement of the
12 current state of the data suffice?

13 DR. STRAUSS: This is David Strauss. I
14 think there was a question about whether it'd be
15 required to be GLP, and that has not been
16 determined at this point.

17 DR. WALDMAN: Terrific.

18 Dr. Tenjarla, questions?

19 DR. TENJARLA: No questions. Thank you.

20 DR. WALDMAN: Dr. Waldo, questions?

21 DR. WALDO: None, thank you.

22 DR. WALDMAN: Dr. Li?

1 DR. T. LI: No questions, thank you.

2 DR. WALDMAN: Very good. To summarize, it
3 sounds like a slam-dunk to me.

4 (Laughter.)

5 DR. WALDMAN: Okay. Is that going to go
6 into the record?

7 (Laughter.)

8 DR. WALDMAN: All right. We're ready to
9 vote. Let me read it again, and then we will vote
10 up or down.

11 As this new mechanistic model-based approach
12 is implemented, should FDA collect the world's
13 experience to facilitate future enhancements as was
14 done by the FDA with the ECG warehouse for QT
15 studies? Please vote yes, no, or abstain on your
16 microphones. Telephone people, please email your
17 votes in to Yvette. We will vote.

18 (Vote taken.)

19 DR. SHEPHERD: For the record, 13 voted yes,
20 zero no, zero abstain, zero no voting.

21 DR. WALDMAN: I think that's a clear
22 mandate. All right. This is Scott Waldman,

1 reading into the record, I voted yes.

2 DR. CLOYD: Jim Cloyd. Yes.

3 DR. SUN: Duxin Sun. Yes.

4 DR. RODEN: Dan Roden. Yes.

5 DR. POLLI: James Polli. Yes.

6 DR. VINKS: Xander Vinks. Yes.

7 DR. COLLINS: Jerry Collins. Yes.

8 DR. AU: Jessie Au. Yes.

9 DR. AWNI: Walid Awni, did not vote.

10 DR. SLATTUM: Patricia Slattum. Yes.

11 DR. CARRICO: Jeff Carrico. Yes.

12 DR. WALDMAN: Dr. Arkus?

13 MS. ARKUS: Bonnie Arkus. Yes.

14 DR. WALDMAN: Dr. Waldo?

15 DR. WALDO: Al Waldo. Yes. Yes.

16 DR. WALDMAN: Dr. Li?

17 DR. T. LI: Tonglei Li, voted yes.

18 DR. WALDMAN: Terrific. Thank you very
19 much. Thank you for that very efficient process.
20 I appreciate it.

21 Dr. Kathleen Uhl will now present her
22 concluding remarks.

1 **Concluding Remarks - Kathleen Uhl**

2 DR. UHL: It's pretty hard to be the last
3 person on a very long day that started quite early,
4 and also recognizing that I am what stands between
5 you and either your commute home or you and the
6 ASCPT opening ceremony, as well as an adult
7 beverage. So that said, let's just get through
8 this here.

9 First of all, I just want to thank everyone
10 for being here today. You've heard, from the very
11 beginning, Shiew-Mei, who talked for Isaam, made a
12 nice acknowledgement to everyone for your time
13 here.

14 In addition to the participants, and the
15 committee members, and presenters and such, I want
16 to also thank ASCPT for agreeing to do this
17 advisory committee here and co-located with the
18 ASCPT annual meeting.

19 I also want to thank Scott for chairing
20 this. There was an email flurry over the last
21 couple of days because of the weather. I just want
22 to acknowledge Scott for being so flexible to

1 figure out how to do this, especially with people
2 on the phone and such.

3 (Applause.)

4 DR. UHL: For the record, I'd say Scott is
5 blushing.

6 We've talked a bit about the value
7 proposition here of model-informed drug
8 development. We got way down in the weeds today as
9 we talked about this. But I want to back us up a
10 bit and be a little bit higher-level here. There
11 are absolutely some incredible opportunities at
12 play here for both new and generic drug
13 development, and, as well, regulatory decision-
14 making by using model-informed drug development.

15 Here are just a couple that we even talked
16 about today, the ability to streamline and optimize
17 drug development. There are absolute implications
18 across the entire drug life cycle. There's an
19 impact on study design, and not just when to do it,
20 how to do it, and certainly an impact on regulatory
21 decision-making, which can improve accuracy,
22 efficiency so that we can make better and faster

1 ddecisions.

2 Usually, we're criticized about that here at
3 the agency, so it'd be nice if we can use this to
4 do that, and thus, also, for industry, decrease the
5 regulatory burden.

6 Although we talked about PBPK in the context
7 of certain utility in new drug development and also
8 in the cardiovascular safety assessment, I think
9 it's pretty obvious that there's the ability to
10 have much broader expansion of this modeling across
11 a variety of different drugs and drug products.

12 The morning, Shiew-Mei's comments or
13 introduction, was really more new drug-focused. So
14 since I live in the world of generic drugs and I
15 have the microphone, I want to selfishly spend a
16 little bit of time just talking about generic
17 drugs. And I want to do that because, one, most
18 advisory committees focus exclusively on new drugs;
19 two, this is the ASCPT meeting which almost always
20 is excessively new drugs. So I want to broaden
21 your horizons a little bit, so bear with me. I
22 appreciate your patience here.

1 We did talk about this a tiny bit already in
2 the context of today, and I appreciate several of
3 the advisory committee members actually bringing
4 this up, the difference between a new drug, or an
5 innovator drug, and a generic drug; and also, in
6 the context of clinical pharmacology, whether we're
7 talking about drug substance or drug product.

8 I say that because having been clinical
9 pharmacology-trained, when we say "drug," we
10 usually mean drug substance. We don't mean drug
11 product.

12 Duxin, you can take this back because Gordon
13 Amidon at University of Michigan, he has argued
14 this point. And this was one of the most
15 compelling comments he ever made that I heard, was,
16 no patient takes a drug. They take a drug product.
17 And we forget that when we're talking about either
18 drug development, drug regulation, et cetera.

19 This is just an example of an oral product.
20 The drug substance would just be the active
21 ingredient. All those other items listed here
22 could very well be in one drug product, and there's

1 the ability for a lot of interactions and such
2 related to the drug product and the therapeutic
3 effect.

4 We also talked about drug life cycle today,
5 industry concept of life cycle versus the CDER
6 concept of life cycle. The industry concept,
7 you've got this. You start out with the IND. You
8 do your different phase studies. We're well aware
9 of that, phase 1, 2, and 3. You file your NDA.
10 Hopefully, you get approved.

11 Then there's the postmarket area. In
12 postmarketing, you're looking for particular safety
13 signals that you couldn't identify in a smaller
14 data set in the NDA, or we're also talking about
15 some SUPAC-type things, scaling up, different types
16 of manufacturing, and whatnot.

17 In CDER, the ultimate life cycle is actually
18 what happens next, which is the introduction of
19 generic drugs on the market and the filing of
20 multiple ANDAs or abbreviated new drug
21 applications.

22 I think we're well aware of what types of

1 quantitative methods are used in new drugs. It's
2 well-recognized what these methods are, those on
3 the left-hand side, new drugs; PK-PD modeling,
4 exposure-response, clinical trial simulation, and
5 population PK.

6 It's also easy, especially for someone who
7 lives in the generic space here though, to
8 correlate those key components of pharmacometrics
9 that are frequently used in new drug review to
10 their generic counterparts.

11 PK-PD modeling is basically bread and butter
12 bioequivalence in the generic drug review process.
13 This is basically the core and the foundation of a
14 BE assessment. But we also use exposure-response
15 for things like narrow therapeutic index. Liang
16 Zhao actually talked about that this morning.

17 Clinical trial simulation can be posited in
18 the generic space to do virtual BE studies, again,
19 a topic mentioned this morning. And Pop PK, that
20 same type of methodology can be used for
21 model-based BE assessments for drugs that have
22 sparse PK.

1 The utility of PBPK actually was talked
2 about or alluded to quite frequently this morning
3 in using this to test or to predict untested
4 clinical scenarios. A lot of the panel members
5 actually talked about this. And you can see here a
6 variety of ways that's used in the new drugs. We
7 know this, drug-drug interactions, labeling
8 recommendations, pediatrics, organ dysfunction, and
9 the like.

10 It's also very helpful in the generic drug
11 arena, predicting untested situations like what
12 formulation to use, whether there's food effect or
13 the predictions of food effect, which would mean,
14 do you need to do a fed and a fasted BE study,
15 aspects of risk assessment, dissolution
16 specifications, identifying the critical quality
17 attributes, and as well, regulatory standard
18 development.

19 In that case, what I mean is very different
20 than what is done in the new drug setting. But in
21 the generic drug setting, we have over -- I'm going
22 to get this number wrong -- but over 2,000

1 product-specific bioequivalence guidances that are
2 published, and we use the results of sophisticated
3 methodologies like modeling and PBPK to help inform
4 some of those product-specific guidances.

5 This slide you've already seen. I think the
6 most important thing here is just to recognize that
7 in generic drug development, in generic drug
8 regulatory decision-making, there is definitely an
9 increasing trend of using modeling and simulation,
10 including PBPK, to support generic drug product
11 development and to support regulatory
12 decision-making with respect to generic drugs.

13 I looked at the backgrounder document and
14 was able to use one of the addenda to this, and
15 just put this list together. This is 2009 through
16 2016, how many times it was acknowledged that PBPK
17 was used for regulatory decision-making for a new
18 drug.

19 You can obviously see some kind of
20 increasing trend over time. This number doesn't
21 necessarily match perfectly with the presentations
22 earlier this morning, but I think you get the

1 point. It's being used more, and you can see that
2 as a time trend.

3 We compare this to generics. It wasn't used
4 in the past, but in one year alone, over 14 times
5 that PBPK, not in modeling and simulation, but just
6 PBPK was used for regulatory decision-making. That
7 said, generics might be late to the party, but
8 we're going to make a lot of noise at the party
9 because -- I'm going to walk you through why.

10 Essentially, unless you've lived under a
11 rock for the last year or so, you probably know
12 that there's been a lot of noise, a large national
13 debate about healthcare in this country, and access
14 to and affordability of medications. That's a
15 large component of this debate, correct? I hear
16 little chuckles. Thank you.

17 So that said, right now, generic drugs are
18 more important than they have ever been. To give
19 you a scope of this, 90 percent of the drugs
20 dispensed in the U.S. is a generic drug, and they
21 only represent about 27 percent of the spend for
22 drugs. Generic drugs have saved the U.S.

1 healthcare system almost \$1.5 trillion in the last
2 10 years, so 1.5 trillion, with a T. That's not an
3 insignificant amount of money.

4 Additionally, the scope of the generic drug
5 program is very different from the new drug
6 program. We receive, on average, a thousand
7 applications a year, a thousand abbreviated new
8 drug applications. Last year alone, we approved
9 over 800 applications.

10 There are about 10,000 currently approved
11 generic drugs in this country, and 25 percent of
12 those were approved just since GDUFA, the Generic
13 Drug User Fee Amendments, was implemented four
14 years ago.

15 On a volume basis, we have the opportunity
16 to really use these models and such to test the
17 system, to think about a high throughput screen and
18 whatever, this is your ability to use a high
19 throughput screen for these methodologies.

20 GDUFA II, which hopefully will initiate
21 October 1 -- Congress needs to reauthorize
22 it -- there is what was mentioned earlier this

1 morning pre-ANDA. It's similar to what the IND
2 space would be for new drugs. But the pre-ANDA
3 space is something that I think we're going to see
4 more of the ability to use modeling and simulation,
5 more of this PBPK, and more in the interactions
6 between FDA and industry.

7 GDUFA II is really intended to decrease the
8 number of review cycles and increase chances for
9 first-cycle ANDA approval. Right now,
10 comparatively, generic drugs are approved on the
11 first cycle less than 10 percent of the time. New
12 drugs are approved on the first cycle more than
13 90 percent of the time. We have a long way to go
14 to match these two programs together.

15 GDUFA II also has this -- thanks, Duxin, for
16 bringing this up -- the complex products. There's
17 a particular focus on complex products in this
18 pre-ANDA, the opportunity to get it right the first
19 time, so we decrease the number of cycles.

20 A comment that was brought to me by Jerry
21 Collins -- and Jerry, I appreciate that because he
22 said why is the modeling that you guys are talking

1 about in generics a GI model -- gastrointestinal,
2 not military -- is the fact that most of the
3 approved generic drugs, or even most of the
4 applications, are for oral drugs.

5 That leaves a tremendous amount of
6 opportunity then for these complex products and
7 these complex delivery forms such as dermals,
8 inhalants, ophthalmics, nasal, and transdermal;
9 hence, the reason why the slide from Liang had all
10 those different organ systems, because as we
11 understand the physiology of those systems, we can
12 apply the PBPK to an understanding of these
13 products in that use.

14 I got this as a shout-out to you here. The
15 March issue of Clinical Pharmacology and
16 Therapeutics, the theme is precision medicine.
17 Probably everyone would see that and say, we're
18 talking about new drugs. Right? Pretty much, so
19 thank you.

20 I would argue, though, there are two
21 publications in this March issue: The Molecular
22 Basis of Innovation in Drug Excipients and

1 Between-Batch Pharmacokinetic Variability for
2 conventional BE studies published by card-carrying,
3 certifiable, clinical pharmacologists, Kathy
4 Giacomini and Leslie Benet.

5 I would argue the case that the DDRU
6 paradigm of CPT, which is -- for those of you who
7 aren't familiar with that, drug discovery,
8 development, regulation, and use -- is just as
9 applicable in the generic drug space as it is in
10 the new drug space.

11 So there is tremendous opportunity here for
12 both new and generic companies to submit more of
13 these types of data and analyses. There's
14 tremendous opportunities for innovation and
15 modernization, and, as well, a lot of opportunity
16 to improve our reviews, improve our processes, and
17 also opportunities, as was talked earlier this
18 morning, for FDA to highlight what's considered an
19 appropriate submission and what are the submission
20 standards as it relates to PBPK-type submissions.

21 In the generic drug space, that is
22 absolutely critical. It is critical to get the

1 right submission, to get a submission that's of
2 high quality. We don't need additional data
3 submitted that is not of high quality, that does
4 not help us have less review cycles or *less
5 chances of approval.

6 I'm frozen. That must mean that I'm done.

7 My closing comments here, though, is that
8 the modeling and simulation and PBPK actually is
9 the opportunity to be transformative for the
10 generic drug industry. It can be transformative
11 for drug development, for our regulatory
12 decision-making. We can take this and move way
13 past the oral absorption model and apply this to
14 other types of locally-acting drugs and complex
15 drug products.

16 So it has transformative potential for
17 GDUFA II, where we have the pre-ANDA and where we
18 have opportunities to discuss alternative BE models
19 that companies might want to use. Using this type
20 of modeling would be very advantageous to inform
21 why you might want to do a different BE approach
22 and aid in the conversation with the agency, should

1 a company want to do that.

2 So there are abundant opportunities. There
3 are opportunities for the agency, for ASCPT, for
4 CPT, for industry. There are lots of students and
5 people in training who are at ASCPT. I think you
6 can see there's lots of opportunity out there for
7 modeling in the generic space.

8 I thank you, and I cede my time back to
9 Scott.

10 DR. WALDMAN: Thank you very much.

11 (Applause.)

12 **Adjournment**

13 DR. WALDMAN: A couple of concluding
14 comments, just administrative. As a reminder, a
15 docket is open for this meeting until April 14,
16 2017. More information on submitting written
17 comments to the docket can be found on the FDA's
18 website.

19 Then finally, panel members, please take all
20 your personal belongings with you. All materials
21 left on the table will be disposed of. Please also
22 remember to drop off your name badges at the

1 registration table on your way out so they can be
2 recycled.

3 We will now adjourn the meeting. Thank you
4 very much for all your participation.

5 (Applause.)

6 (Whereupon, at 4:05 p.m., the afternoon
7 session was adjourned.)

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22