

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH
JOINT MEETING OF THE ANESTHETIC AND ANALGESIC DRUG
PRODUCTS ADVISORY COMMITTEE (AADPAC) AND THE
DRUG SAFETY AND RISK MANAGEMENT
ADVISORY COMMITTEE (DSaRM)

Open Session

Wednesday, April 5, 2017

9:14 a.m. to 3:02 p.m.

Tommy Douglas Conference Center
10000 New Hampshire Avenue
Second Floor
Silver Spring, Maryland

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Stephanie Begansky, PharmD**

4 Division of Advisory Committee and

5 Consultant Management

6 Office of Executive Programs, CDER, FDA

7

8 **ANESTHETIC AND ANALGESIC DRUG PRODUCTS ADVISORY**

9 **COMMITTEE MEMBERS (Voting)**

10 **Brian T. Bateman, MD, MSc**

11 Associate Professor of Anesthesia

12 Division of Pharmacoepidemiology and

13 Pharmacoeconomics

14 Department of Medicine

15 Brigham and Women's Hospital

16 Department of Anesthesia, Critical Care, and Pain

17 Medicine

18 Massachusetts General Hospital

19 Harvard Medical School

20 Boston, Massachusetts

21

22

1 **Raeford E. Brown, Jr., MD, FAAP**

2 *(Chairperson)*

3 Professor of Anesthesiology and Pediatrics

4 College of Medicine

5 University of Kentucky

6 Lexington, Kentucky

7

8 **David S. Craig, PharmD**

9 Clinical Pharmacy Specialist

10 Department of Pharmacy

11 H. Lee Moffitt Cancer Center & Research Institute

12 Tampa, Florida

13

14 **Jeffrey Galinkin, MD, FAAP**

15 Professor of Anesthesiology and Pediatrics

16 Co-Chairman, Colorado Multiple Institutional

17 Review Board

18 University of Colorado, AMC

19 Aurora, Colorado

20

21

22

1 **Anita Gupta, DO, PharmD**

2 Fellow, Princeton University

3 Woodrow Wilson School of Public & International

4 Affairs

5 Princeton, NJ

6 Vice Chair, Associate Professor

7 Division of Pain Medicine

8 Department of Anesthesiology

9 Drexel University College of Medicine

10 Philadelphia, Pennsylvania

11

12 **Jennifer G. Higgins, PhD**

13 *(Consumer Representative)*

14 Research and Policy Manager

15 Association of Developmental Disabilities Providers

16 (ADDP) Framingham, Massachusetts

17 Philadelphia, Pennsylvania

18

19

20

21

22

1 **Ronald S. Litman, DO**

2 Professor of Anesthesiology & Pediatrics
3 Perelman School of Medicine
4 University of Pennsylvania
5 Attending Anesthesiologist
6 The Children's Hospital of Philadelphia
7 Medical Director, Institute for Safe Medication
8 Practices

9

10 **Mary Ellen McCann, MD, MPH**

11 Senior Associate in Anesthesia and Associate
12 Professor
13 Department of Anesthesiology, Perioperative and
14 Pain Medicine
15 Children's Hospital Boston
16 Boston, Massachusetts

17

18 **Abigail B. Shoben, PhD**

19 Associate Professor, Division of Biostatistics
20 College of Public Health
21 The Ohio State University
22 Columbus, Ohio

1 **Kevin L. Zacharoff, MD, FACIP, FACPE, FAAP**

2 Faculty and Clinical Instructor, Pain and

3 Medical Ethics

4 State University of New York Stony Brook School of

5 Medicine

6 Stony Brook, New York

7 Ethics Committee Chair

8 St. Catherine of Siena Medical Center

9 Smithtown, New York

10
11 **ANESTHETIC AND ANALGESIC DRUG PRODUCTS ADVISORY**

12 **COMMITTEE MEMBER (Non-Voting)**

13 **W. Joseph Herring, MD, PhD**

14 *(Industry Representative)*

15 Executive Director and Section Head

16 Neurology Clinical Neurosciences

17 Merck Research Laboratories

18 North Wales, Pennsylvania

19

20

21

22

1 **DRUG SAFETY AND RISK MANAGEMENT ADVISORY COMMITTEE**

2 **MEMBERS (Voting)**

3 **Niteesh K. Choudhry, MD, PhD**

4 Professor

5 Harvard Medical School

6 Associate Physician

7 Brigham and Women's Hospital

8 Boston, Massachusetts

9
10 **Christopher H. Schmid, PhD**

11 Professor of Biostatistics

12 Center for Evidence Based Medicine

13 Department of Biostatistics

14 Brown University School of Public Health

15 Providence, Rhode Island

16

17

18

19

20

21

22

1 **Terri L. Warholak, PhD, RPh, FAPhA**

2 Assistant Professor

3 Division of Health Promotion Sciences

4 College of Public Health

5 Adjunct Clinical Instructor

6 College of Nursing

7 Associate Professor with Tenure

8 Department of Pharmacy Practice and Science

9 College of Pharmacy

10 University of Arizona

11 Tucson, Arizona

12

13 **DRUG SAFETY AND RISK MANAGEMENT ADVISORY COMMITTEE**

14 **MEMBER (Non-Voting**

15 **Linda Scarazzini, MD, RPh**

16 *(Industry Representative)*

17 Vice President

18 Pharmacovigilance and Patient Safety

19 Abbvie

20 North Chicago, Illinois

21

22

1 **TEMPORARY MEMBERS (Voting**

2 **Gregory E. Amidon, PhD**

3 Research Professor of Pharmaceutical Sciences
4 College of Pharmacy, Department of Pharmaceutical
5 Sciences
6 University of Michigan
7 Ann Arbor, Michigan

8

9 **Charles W. Emala, Sr., MS, MD**

10 Professor and Vice-Chair for Research
11 Department of Anesthesiology
12 Columbia University College of Physicians &
13 Surgeons
14 New York, New York

15

16 **Alan D. Kaye, MD, PhD**

17 Professor and Chairman
18 Department of Anesthesia
19 Louisiana State University School of Medicine
20 New Orleans, Louisiana

21

22

1 **Arthur H. Kibbe, RPh, PhD**

2 Retired Professor of Pharmaceutical Sciences

3 Nesbitt School of Pharmacy

4 Wilkes University

5 Wilkes-Barre, Pennsylvania

6

7 **Elaine H. Morrato, DrPH, MPH**

8 Associate Dean for Public Health Practice

9 Associate Professor Department of Health Systems,

10 Management and Policy

11 Colorado School of Public Health

12 University of Colorado Anschutz Medical Campus

13 Aurora, Colorado

14

15 **Joseph O'Brien, MBA**

16 *(Patient Representative)*

17 President and CEO National Scoliosis Foundation

18 Stoughton, Massachusetts

19

20

21

22

1 **Sharon L. Walsh, PhD**

2 Professor of Behavioral Science, Psychiatry,
3 Pharmacology and Pharmaceutical Sciences
4 Director, Center on Drug and Alcohol Research
5 University of Kentucky
6 Lexington, Kentucky

7
8 **FDA PARTICIPANTS (Non-Voting)**

9 **Sharon Hertz, MD**

10 Director
11 Division of Anesthesia, Analgesia and Addiction
12 Products (DAAAP)
13 Office of Drug Evaluation II (ODE-II)
14 Office of New Drugs (OND), CDER, FDA

15
16 **Judy Staffa, PhD, RPh**

17 Associate Director for Public Health Initiatives
18 Office of Surveillance and Epidemiology (OSE)
19 CDER, FDA

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Ellen Fields, MD, MPH

Deputy Director

DAAAP, ODE-II, OND, CDER, FDA

Joshua Lloyd, MD

Clinical Team Leader

DAAAP, ODE-II, OND, CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Raeford Brown, MD, FAAP	15
5	Conflict of Interest Statement	
6	Stephanie Begansky, PharmD	21
7	FDA Introductory Remarks	
8	Sharon Hertz, MD	25
9	Applicant Presentations - Inspirion	
10	Introduction	
11	Stefan Aigner, MD	29
12	Public Health Need for Abuse-Deterrent	
13	Immediate-Release Opioid Analgesics	
14	Richard Dart, MD, PhD	35
15	In Vitro Physical Manipulation and	
16	Chemical Extraction Studies	
17	Robert Bianchi	43
18	Intranasal Human Abuse Potential Study	
19	Lynn Webster, MD	56
20	Clinical Perspective	
21	Jeffrey Gudin, MD	63
22	Clarifying Questions	71

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	FDA Presentation	
4	Drug Utilization Program for	
5	Oxycodone-Containing Analgesics 2009-2016	
6	Tracy Minh Pham, PharmD	105
7	Clarifying Questions	110
8	Open Public Hearing	137
9	Charge to the Committee	175
10	Clarifying Questions (continued)	177
11	Questions to the Committee and Discussion	192
12	Adjournment	241
13		
14		
15		
16		
17		
18		
19		
20		
21		
22		

P R O C E E D I N G S

(9:14 a.m.)

Call to Order

Introduction of Committee

1 DR. BROWN: Good morning. I would first
2 like to remind everyone to please silence your
3 cell phones, smartphones, and any other devices, if
4 you have not already done so. I would also like to
5 identify the FDA press contact, Sarah Peddicord, if
6 you are present.

7 Hi, Sarah.

8 My name is Rae Brown. I am the chairperson
9 of the Anesthetic and Analgesic Drug Products
10 Advisory Committee, and I will be chairing this
11 meeting. I will now call the joint meeting of the
12 Anesthetic and Analgesic Drug Products Advisory
13 Committee and the Drug Safety and Risk Management
14 Advisory Committee to order.

15 We will start by going around the table and
16 introducing ourselves. We will start with the FDA
17 to my left and go around the table.

18 DR. HERTZ: Hello. Sharon Hertz, director

1 for the Division of Anesthesia, Analgesia, and
2 Addiction Products.

3 DR. FIELDS: Ellen Fields, deputy director
4 in the same division.

5 DR. LLOYD: Josh Lloyd, lead medical
6 officer, same division.

7 DR. STAFFA: Good morning. Judy Staffa,
8 associate director for public health initiatives in
9 the Office of Surveillance and Epidemiology.

10 DR. KIBBE: Art Kibbe, emeritus professor of
11 pharmaceutical sciences, Wilkes University.

12 DR. KAYE: Alan Kaye, anesthesiologist and
13 pain expert, professor, program director, and
14 chairman at LSU School of Medicine in New Orleans,
15 Louisiana.

16 DR. SCHMID: Chris Schmid, professor of
17 biostatistics, Brown University.

18 DR. EMALA: Charles Emala, professor of
19 anesthesiology, vice chair for research at Columbia
20 University.

21 DR. LITMAN: Ron Litman, professor of
22 anesthesiology and pediatrics at University of

1 Pennsylvania and Children's Hospital of
2 Philadelphia, and I am also the medical director of
3 the Institute for Safe Medication Practice.

4 DR. GUPTA: Dr. Anita Gupta. I am currently
5 a fellow at Princeton University at Woodrow Wilson
6 Public Policy and International Affairs and also
7 currently vice chair, associate professor at Drexel
8 University College of Medicine in the Department of
9 Anesthesiology and Pain Medicine.

10 DR. WARHOLAK: I am Terri Warholak from the
11 University of Arizona College of Pharmacy, and my
12 specialty is in quality and safety.

13 DR. CRAIG: David Craig. I'm a clinical
14 pharmacist specialist at Moffitt Cancer Center.

15 LTC BEGANSKY: Stephanie Begansky. I'm the
16 designated federal officer for today's meeting.

17 DR. BROWN: I'm Rae Brown. I'm professor of
18 anesthesiology and pediatrics at the University of
19 Kentucky.

20 DR. BATEMAN: Brian Bateman, associate
21 professor of anesthesia at Brigham and Women's
22 Hospital, Harvard Medical School.

1 DR. SHOBNEN: Abby Shoben. I'm an associate
2 professor of biostatistics at the Ohio State
3 University.

4 DR. ZACHAROFF: Kevin Zacharoff, expertise
5 in anesthesiology and pain medicine, faculty and
6 clinical instructor at the Stony Brook School of
7 Medicine.

8 DR. McCANN: Mary Ellen McCann. I'm an
9 associate professor at Harvard University and
10 Boston Children's Hospital.

11 DR. GALINKIN: I'm Jeff Galinkin. I'm a
12 professor of anesthesiology and pediatrics at
13 University of Colorado.

14 DR. HIGGINS: Jennifer Higgins, the consumer
15 representative for the AADPAC.

16 MR. O'BRIEN: Joe O'Brien, president and CEO
17 of the National Scoliosis Foundation and patient
18 representative.

19 DR. CHOUDHRY: Niteesh Choudhry, professor
20 of medicine at Harvard Medical School and an
21 internist at Brigham and Women's Hospital.

22 DR. MORRATO: Elaine Morrato, an

1 epidemiologist in the Department of Health Systems
2 Management and Policy, and I'm serving as the
3 interim dean for the Colorado School of Public
4 Health at the University of Colorado.

5 DR. WALSH: I'm Sharon Walsh. I'm a
6 professor of behavioral science, psychiatry,
7 pharmacology, and pharmaceutical sciences at the
8 University of Kentucky and also the director of the
9 Center on Drug and Alcohol Research.

10 DR. AMIDON: Greg Amidon, research professor
11 of pharmaceutical sciences at the University of
12 Michigan.

13 DR. SCARAZZINI: Hi. Good morning. Linda
14 Scarazzini. I'm the head of pharmacovigilance and
15 patient safety at AbbVie, and I'm the industry rep
16 for DSaRM.

17 DR. HERRING: Good morning. I'm Joe
18 Herring, a neurologist, executive director of
19 clinical neuroscience at Merck, and industry
20 representative to the AADPAC.

21 DR. BROWN: I'd like to welcome everyone
22 this morning.

1 For topics such as those being discussed at
2 today's meeting, there are often a variety of
3 opinions, some of which are quite strongly held.
4 Our goal is that today's meeting will be a fair and
5 open forum for discussion of these issues and that
6 individuals can express their views without
7 interruption.

8 Thus, as a general reminder, individuals
9 will be allowed to speak into the record only if
10 recognized by the chairperson. We look forward to
11 a productive meeting.

12 In the spirit of the Federal Advisory
13 Committee Act and the Government in the Sunshine
14 Act, we ask that the advisory committee members
15 take care that their conversations about the topic
16 at hand take place in the open forum of this
17 meeting.

18 We are aware that members of the media are
19 anxious to speak with the FDA about these
20 proceedings. However, FDA will refrain from
21 discussing the details of this meeting with the
22 media until its conclusion. Also, the committee is

1 reminded to please refrain from discussing the
2 meeting topic during breaks or lunch. Thank you.

3 Now I will pass it to Lieutenant Commander
4 Stephanie Begansky, who will read the Conflict of
5 Interest Statement.

6 **Conflict of Interest Statement**

7 LTC BEGANSKY: Good morning. The Food and
8 Drug Administration is convening today's joint
9 meeting of the Anesthetic and Analgesic Drug
10 Products Advisory Committee and the Drug Safety and
11 Risk Management Advisory Committee under the
12 authority of the Federal Advisory Committee Act of
13 1972.

14 With the exception of the industry
15 representatives, all members and temporary voting
16 members of these committees are special government
17 employees or regular federal employees from other
18 agencies and are subject to federal conflict of
19 interest laws and regulations.

20 The following information on the status of
21 the committees' compliance with federal ethics and
22 conflict of interest laws, covered by but not

1 limited to those found at 18 U.S.C. Section 208, is
2 being provided to participants in today's meeting
3 and to the public.

4 FDA has determined that members and
5 temporary voting members of the committees are in
6 compliance with federal ethics and conflict of
7 interest laws. Under 18 U.S.C. Section 208,
8 Congress has authorized FDA to grant waivers to
9 special government employees and regular federal
10 employees who have potential financial conflicts
11 when it is determined that the agency's need for a
12 particular individual's services outweighs his or
13 her potential financial conflict of interest or
14 when the interest of a regular federal employee is
15 not so substantial as to be deemed likely to affect
16 the integrity of the services which the government
17 may expect from the employee.

18 Related to the discussions of today's
19 meeting, members and temporary voting members of
20 these committees have been screened for potential
21 financial conflicts of interest of their own as
22 well as those imputed to them, including those of

1 their spouses or minor children and, for purposes
2 of 18 U.S.C. Section 208, their employers. These
3 interests may include investments; consulting;
4 expert witness testimony; contracts/grants/CRADAs;
5 teaching/speaking/writing; patents and royalties;
6 and primary employment.

7 Today's agenda involves the discussion of
8 new drug application 209777 for oxycodone
9 hydrochloride immediate-release oral tablets
10 submitted by Inspirion Delivery Services with the
11 proposed indication of management of moderate to
12 severe pain where the use of an opioid analgesic is
13 appropriate. This product has been formulated with
14 properties intended to deter abuse, and the
15 applicant has submitted data to support these
16 abuse-deterrent properties for this product.

17 The committees will be asked to discuss the
18 overall risk-benefit profile of the product and
19 whether the applicant has demonstrated
20 abuse-deterrent properties for their product that
21 would support labeling. This is a particular
22 matters meeting during which specific matters

1 related to Inspirion's NDA will be discussed.

2 Based on the agenda for today's meeting and
3 all financial interests reported by the committee
4 members and temporary voting members, no conflict
5 of interest waivers have been issued in connection
6 with this meeting.

7 To ensure transparency, we encourage all
8 standing committee members and temporary voting
9 members to disclose any public statements that they
10 have made concerning the product at issue.

11 With respect to FDA's invited industry
12 representatives, we would like to disclose that
13 Drs. Joseph Herring and Linda Scarazzini are
14 participating in this meeting as non-voting
15 industry representatives acting on behalf of
16 regulated industry. Their role at this meeting is
17 to represent industry in general and not any
18 particular company. Dr. Herring is employed by
19 Merck & Co., and Dr. Scarazzini is employed by
20 AbbVie.

21 We would like to remind members and
22 temporary voting members that if the discussions

1 involve any other products or firms not already on
2 the agenda for which an FDA participant has a
3 personal or imputed financial interest, the
4 participants need to exclude themselves from such
5 involvement, and their exclusion will be noted for
6 the record.

7 FDA encourages all other participants to
8 advise the Committees of any financial
9 relationships that they may have with the firm at
10 issue. Thank you.

11 DR. BROWN: We will now proceed with the
12 FDA's introductory remarks from Dr. Sharon Hertz.

13 **FDA Introductory Remarks**

14 DR. HERTZ: Good morning. I'd like to thank
15 you all for coming this morning at this joint
16 meeting of the AADPAC and DSaRM. We will be
17 discussing an application from Inspirion for an
18 abuse-deterrent, immediate-release oxycodone
19 formulation under the trade name RoxyBond. This
20 product has been designed with properties intended
21 to deter abuse, and the proposed indication is for
22 the treatment of moderate to severe pain where the

1 use of an opioid analgesic is appropriate.

2 Prescription opioid products are an
3 important component of modern pain management, but
4 we are well aware of the problems of abuse and
5 misuse that have grown from the extensive use of
6 opioids for pain management in this country.

7 To address the public health concern, FDA
8 has announced a comprehensive action plan, and one
9 element of this plan is to facilitate development
10 of abuse-deterrent products. The goal is to keep
11 the pharmaceutical armamentarium for analgesics
12 broad so that prescribers have options that they
13 need when they're managing their patients in pain.

14 With the development of products to deter
15 abuse, we have issued a final guidance for industry
16 to assist in this development. The guidance for
17 industry for abuse-deterrent opioids evaluation and
18 labeling was finalized in 2015, and it explains our
19 current thinking regarding the studies that should
20 be conducted to demonstrate that a formulation has
21 abuse-deterrent properties, and makes
22 recommendations for how those studies should be

1 performed and evaluated, and discusses how to
2 describe those studies and their implications in
3 product labeling.

4 We have got nine approved extended-release
5 products with abuse-deterrent properties on the
6 market at present. Many of you have been present
7 for their advisory committees. This is going to be
8 one of the first products evaluated, not the first
9 but one of the first few evaluated that is an
10 immediate-release product.

11 We currently don't have any immediate-
12 release opioid analgesics on the market with abuse-
13 deterrent language consistent with our current
14 guidance, so this is a potential first. And that
15 this is an immediate-release product raises some
16 different issues than with some of the extended-
17 release products. And you're going to see that in
18 the data that will be presented, particularly
19 relating to the results from the human abuse
20 potential studies.

21 These are difficult questions. We are at
22 the cutting edge of all of this development, and we

1 are hoping that we can benefit from your now
2 somewhat extensive participation in these meetings
3 and your expertise, and we greatly appreciate your
4 presence.

5 Your advice and recommendations will be
6 essential in assisting us with addressing the
7 complex issues that we are presenting today, and
8 once again, we are grateful you agreed to join us
9 for this meeting. Thank you.

10 DR. BROWN: Thank you, Dr. Hertz.

11 Both the FDA and the public believe in a
12 transparent process for information-gathering and
13 decision-making. To ensure such transparency at
14 the advisory committee meeting, the FDA believes it
15 is important to understand the context of an
16 individual's presentation.

17 For this reason, FDA encourages all
18 participants, including the applicant's nonemployee
19 presenters, to advise the committee of any
20 financial relationships that they may have with the
21 applicant such as consulting fees, travel expenses,
22 honoraria, and interests in a sponsor, including

1 equity interests, and those based upon the outcome
2 of the meeting.

3 Likewise, the FDA encourages you at the
4 beginning of your presentation to advise the
5 committee if you do not have any such financial
6 relationships. If you choose not to address this
7 issue of financial relationships at the beginning
8 of your presentation, it will not preclude you from
9 speaking.

10 We will now proceed with Inspirion's
11 presentation.

12 **Applicant Presentation - Stefan Aigner**

13 DR. AIGNER: Good morning. My name is
14 Stefan Aigner. I am the cofounder and CEO of
15 Inspirion. We started Inspirion in 2008 fully
16 dedicated to the development of abuse-deterrent
17 opioids in order to help address the epidemic of
18 prescription opioid abuse in this country. I would
19 like to thank the FDA and the members of the
20 advisory committee meeting for the time you've
21 spent reviewing our application on RoxyBond.

22 RoxyBond is an immediate-release,

1 single-entity oxycodone product for the treatment
2 of pain severe enough to require the use of an
3 opioid analgesic or for which alternative
4 treatments are inadequate. RoxyBond is formulated
5 using Inspirion's SentryBond technology, the same
6 technology used in MorphaBond, which is an
7 FDA-approved extended-release morphine with abuse-
8 deterrent claims in the label.

9 RoxyBond has been formulated with physical
10 and chemical barriers to deter intranasal and IV
11 abuse. And like other abuse-deterrent products,
12 RoxyBond is intended to be abuse-deterrent and not
13 abuse-proof.

14 We acknowledge that some abusers dedicated
15 will overcome any barriers provided by a
16 formulation to abuse the product. The goal is to
17 create significant improvements over non-abuse-
18 deterrent products to make abuse more challenging
19 and less rewarding.

20 As an immediate-release product, RoxyBond
21 has to be rapidly bioavailable by the intended oral
22 route of administration, therefore, it is not

1 expected to deter all abuse. RoxyBond is intended
2 to replace easily abusable immediate-release single
3 entity oxycodone products like Roxicodone.

4 Opioid analgesics are an important treatment
5 option for pain. However, they are also at risk of
6 diversion, misuse, and abuse. In response, as we
7 heard, the FDA has encouraged the development of
8 abuse-deterrent opioids as one component of a
9 larger public health effort.

10 To date, the agency has approved nine
11 extended-release long-acting opioids with abuse-
12 deterrent label claims, but no abuse-deterrent,
13 immediate-release has been approved. Developing
14 any abuse-deterrent product is challenging,
15 however, developing an abuse-deterrent immediate-
16 release product has been particularly difficult.

17 Let's review why. Extended-release opioids
18 release the drug slowly when taken orally as
19 intended. By manipulating or extracting an
20 extended-release opioid, an abuser's goal would be
21 twofold. First, to convert the extended-release
22 profile to an immediate-release to speed up their

1 high, and second, to transform the drug into an
2 abusable form for snorting or injecting.

3 Most abuse-deterrent extended-release
4 products work by resisting manipulation and
5 conversion into an immediate-release form. The
6 challenge with creating abuse deterrence for
7 immediate-release opioids is because they already
8 have the profile abusers want.

9 So the question has been what can we do to
10 deter these products from being snorted or
11 injected. With RoxyBond, we have been able to
12 create a number of physical and chemical barriers
13 specifically designed to deter those routes of
14 abuse. As you will see throughout our presentation
15 this morning, RoxyBond is difficult to manipulate
16 or extract.

17 Uniquely, we have also designed RoxyBond to
18 have a lower and slower release for intranasal and
19 IV abuse compared to simply taking the product
20 orally as intended. This attribute of RoxyBond
21 will be counterintuitive for abusers who associate
22 snorting and injecting with faster absorption. In

1 addition, RoxyBond is also difficult to snort or
2 prepare for IV abuse.

3 The development program for RoxyBond used a
4 505(b)(2) regulatory pathway with Roxicodone as a
5 reference-listed drug. We are proposing three
6 dosages for RoxyBond, 5, 15, and 30 milligrams.
7 These are the same as currently available for
8 Roxicodone.

9 In the clinical PK study, RoxyBond
10 demonstrated comparable bioavailability to
11 Roxicodone, which forms the scientific bridge to
12 the well-established safety and efficacy profile of
13 Roxicodone. A second PK study demonstrated that
14 all dosages of RoxyBond were dose proportional.

15 Also, there was no clinically significant
16 effect of food on the bioavailability of oxycodone,
17 therefore, we can expect that RoxyBond will have
18 the same safety and efficacy profile as Roxicodone
19 but with the added public health benefit of abuse
20 deterrence.

21 The abuse deterrence study for RoxyBond were
22 designed in accordance with the FDA guidance for

1 abuse-deterrent opioids and in consultation with
2 the FDA. The category 1 in vitro studies evaluated
3 the effect of physical manipulation, chemical
4 extraction, and syringeability on RoxyBond. A
5 category 2/3 study evaluated the human abuse
6 potential for RoxyBond when administered by the
7 intranasal route.

8 Inspirion is also fully committed to
9 fulfilling our post-approval requirements. This
10 joint advisory committee recommended that
11 immediate-release opioid products should be
12 included in the existing opioid analgesics REMS
13 program. Inspirion strongly supports all of those
14 activities.

15 In addition, we look forward to working with
16 the FDA to develop a series of category 4 studies
17 that will be designed to assess the impact of
18 RoxyBond's abuse-deterrent features in the real
19 world. These category 4 studies will include
20 monitoring utilization patterns, monitoring abuse
21 patterns in a variety of settings, and conducting
22 formal observational studies.

1 I will review our agenda and presenters for
2 this morning. Dr. Rich Dart will discuss the
3 public health need for abuse-deterrent, immediate-
4 release opioids.

5 Robert Bianchi will review the results of
6 our in vitro physical manipulation and chemical
7 extraction studies.

8 Dr. Lynn Webster will review the results of
9 our intranasal human abuse potential study.

10 Lastly, Dr. Jeffrey Gudin will conclude the
11 presentation with his clinical perspective of
12 RoxyBond and its abuse-deterrent features.

13 All of our external experts or their
14 institutions have been compensated for their time
15 and travel expenses, and none have an equity
16 interest in today's outcome.

17 I will now invite Dr. Dart to the podium.

18 **Applicant Presentation - Richard Dart**

19 DR. DART: Good morning. My name is Rick
20 Dart, and I'm the director of the Rocky Mountain
21 Poison and Drug Center. I'm also a professor at
22 the University of Colorado, and I'm also executive

1 director of what is called the RADARS system, which
2 studies prescription drug abuse and diversion in
3 the United States.

4 Currently, all immediate-release opioid
5 analgesics in the U.S. are easily abusable. My
6 presentation will discuss the public health need
7 for effective abuse-deterrent, immediate-release
8 opioids.

9 Let's start with a common view of opioid
10 abuse and addiction. There are certainly other
11 pathways to abuse, so please consider this diagram
12 simply as a framework for discussion. As we would
13 expect, a person's first exposure occurs when they
14 receive a prescription for pain or a new
15 recreational user decides to abuse an opioid
16 analgesic.

17 Most people start by swallowing intact
18 pills. Some people will go on to crush the drug in
19 order to snort or inject it. It is extremely
20 important to realize that users often switch back
21 and forth between products and between routes of
22 abuse. We see comments to this effect regularly on

1 websites and chat rooms that are frequented by
2 substance abusers.

3 Any of these abuse behaviors can lead to
4 adverse outcomes, and to address these outcomes,
5 several interventions have been implemented in
6 recent years.

7 For example, prescriber guidelines can
8 reduce the number of prescriptions in the community
9 while still providing appropriate access to
10 patients who need them. Prescription drug
11 monitoring programs have also been effective in
12 quickly identifying patients who are doctor
13 shopping.

14 Once an opioid is prescribed, I think we can
15 all agree that we want that drug to be as safe as
16 possible. To that end, FDA has promoted the
17 development of opioids with abuse-deterrent
18 properties.

19 An ADF is intended to interfere with
20 extraction of the active drug from the tablet by
21 physically resisting crushing, by releasing an
22 antagonist, or by forming a gooey mess when mixed

1 with water. Abuse-deterrent formulations can make
2 it much more difficult to abuse an opioid
3 intranasally or intravenously.

4 This approach helps different types of
5 abusers in different ways. For pain patients who
6 are tempted to begin abusing the drug they have
7 been prescribed, an abuse-deterrent formulation may
8 deter them from crushing to increase the intensity
9 of their high.

10 Assuming that a legitimate pain patient has
11 not yet initiated intense abuse, ADFs present a
12 barrier to intranasal and intravenous abuse because
13 these patients haven't developed severe abuse
14 behaviors and should be less committed to
15 overcoming the ADF mechanism.

16 A novice abuser who is experimenting with
17 opioids is similar. An effective abuse-deterrent
18 product can create a barrier to snorting or
19 injection, an important feature since these routes
20 are inherently more dangerous than oral abuse.

21 For advanced abusers, an abuse-deterrent
22 formulation may well deter them from snorting or

1 injecting that product, but it is not likely to
2 stop their larger opioid abuse problem. They will
3 likely switch to another drug or temporarily switch
4 back to oral abuse. What these individuals really
5 need is substance abuse treatment.

6 An abuse-deterrent product can't stop abuse,
7 but it is very clear from both quantitative data as
8 well as chat rooms and blogs that these products do
9 create a significant barrier to risky routes of
10 abuse.

11 Now, let's look at some of that data. Last
12 year, there were 151 million prescriptions filled
13 for immediate-release opioids, none of which are
14 abuse-deterrent. This was compared to just
15 12 million prescriptions for extended-release
16 opioids for which there are nine approved
17 formulations. In fact, there was 17.9 million
18 immediate-release prescriptions just for single
19 entity oxycodone products, about 50 percent more
20 than all extended-release prescriptions combined.

21 Immediate-release opioids are frequently
22 abused and diverted. In our RADARS Poison Center

1 program, for example, immediate-release opioids are
2 involved in abuse cases more than 4 times as often
3 as extended-release products. Furthermore, the
4 rate of diversion is six times greater with
5 immediate-release than extended-release opioids.

6 Abusers also report actually preferring
7 immediate-release over extended-release products.
8 In a recent study of 300 opioid abusers entering
9 treatment for substance abuse, 66 percent reported
10 a preference for immediate-release opioids, and
11 only 4 percent preferred extended release.

12 I would add that several studies have found
13 that most individuals who abuse prescription
14 opioids initiated their abuse with an immediate-
15 release product.

16 Now let's focus on oxycodone. The abuse of
17 single entity immediate-release oxycodone exceeds
18 that of extended-release oxycodone among
19 individuals entering substance abuse treatment
20 programs as well, like those in the NAVIPPRO
21 system. The number of individuals who reported
22 abuse of the single entity immediate-release

1 oxycodone in the previous 30 days was over twice as
2 high as the number reporting abuse of
3 extended-release oxycodone.

4 Let's take a look at the route of abuse.
5 This is also in the NAVIPPRO system. Approximately
6 half of abusers reported abusing immediate-release,
7 single-entity oxycodone by the intranasal route and
8 similarly for oral. Twenty-eight percent reported
9 intravenous abuse.

10 The relatively high prevalence rates of
11 snorting and injecting are quite important because
12 intranasal and intravenous abuse of opioids are
13 associated with higher risk for serious outcomes.
14 To illustrate this point, these data are from the
15 RADARS Poison Center program, and they show the
16 relative risk of death or a major adverse effect
17 like an overdose for the intranasal and intravenous
18 routes compared to the oral route. Point estimates
19 to the right of the 1 indicate a greater risk
20 compared to ingestion.

21 For each incident of abuse, the risk of
22 death or a major adverse effect was 2 times greater

1 for intranasal abuse than oral abuse, and the risk
2 is even higher for intravenous abuse, 2.6 times
3 greater than oral abuse.

4 Of course, the intravenous abuse is of
5 particular concern because, according to the CDC,
6 in 2015, 6 percent of new HIV diagnoses and
7 10 percent of AIDS diagnoses were attributed to IV
8 drug abuse. Injecting an opioid like oxycodone
9 also puts the abuser at risk for other blood-borne
10 infections like hepatitis C as well as serious
11 infections like endocarditis, not to mention blood
12 clots and other health effects.

13 In summary, I really think it is time to
14 address the need for immediate-release opioids. We
15 need abuse-deterrent properties. Immediate-release
16 opioids are much more commonly prescribed, more
17 commonly abused, and more commonly diverted than
18 extended-release opioids.

19 Immediate-release single entity oxycodone in
20 particular is commonly abused by high-risk
21 intranasal and intravenous routes, which are
22 associated with greater risk of death and other

1 serious health consequences.

2 Abuse-deterrent formulations are not
3 intended to replace other important strategies to
4 address the opioid epidemic such as prescribing
5 guidelines and prescription drug monitoring
6 programs, but they are designed to complement these
7 other strategies and to replace easily abusable
8 products.

9 Thank you. I'll turn the presentation over
10 to Mr. Bianchi.

11 **Applicant Presentation - Robert Bianchi**

12 MR. BIANCHI: Good morning. My name is
13 Robert Bianchi, and I'm the president and chief of
14 scientific and technical affairs at the
15 Prescription Drug Research Center in Bradenton,
16 Florida.

17 I spent 34 years in federal service as a
18 chemist at the FDA and DEA, including as chief of
19 DEA's laboratory operations section and director of
20 the DEA's special testing and research laboratory
21 where in vitro studies were done more than 20 years
22 ago.

1 For the last decade, I have conducted dozens
2 of studies on abuse-deterrent opioid formulations.
3 I assisted with the design of Inspirion's
4 abuse-deterrent studies for RoxyBond, and I'm
5 pleased to be able to present the results of those
6 studies with you this morning.

7 Inspirion performed a comprehensive set of
8 laboratory-based in vitro manipulation and
9 extraction studies to evaluate the intranasal and
10 intravenous abuse-deterrent properties of RoxyBond.
11 The abuse-deterrent program was developed in
12 accordance with the FDA guidance for abuse-
13 deterrent opioids and in consultation with the FDA.

14 Inspirion took an iterative testing approach
15 throughout the development and route process.
16 Inspirion performed additional follow-up studies
17 based on questions from the FDA to more fully
18 characterize RoxyBond's physical and chemical abuse
19 properties. Roxycodone was used as the non-abuse-
20 deterrent comparator in all the studies.

21 Here is a general overview of the category 1
22 studies conducted. Particle size reduction

1 experiments were performed both with and without
2 different pretreatments to determine the ability of
3 various tools to get the products into an abusable
4 form.

5 As part of the FDA guidance, large-volume
6 extraction studies evaluated the resistance of the
7 product to chemical extraction. Inspirion also
8 performed several studies specific to the IV route
9 of abuse, including small-volume extraction and
10 syringeability.

11 First, I'll discuss particle-size reduction.
12 There are differences in the rationale for reducing
13 particle size of extended-release and
14 immediate-release opioids. Reducing the particle
15 size of an extended-release opioid does two things.
16 First, it speeds up the release, converting the
17 extended-release into an immediate-release profile
18 allowing for dose dumping; and second, it
19 transforms the drug into an abusable form that can
20 be snorted or prepared for IV injection.

21 For an immediate-release opioid,
22 particle-size reduction does not change the release

1 profile but can transform the drug into an abusable
2 form for snorting or injection.

3 For RoxyBond specifically, the product is
4 formulated to have a lower and slower release of
5 oxycodone when manipulated for a non-oral route
6 compared to intact oral administration. Therefore,
7 reducing the particle size of RoxyBond does not
8 defeat the abuse-deterrent properties.

9 With this in mind, let's turn to the
10 results, starting with Roxicodone. Roxicodone is a
11 non-abuse-deterrent and offers no resistance to
12 particle-size reduction. Therefore, it was easily
13 manipulated with mechanical tool E and reduced 100
14 percent of the particles to less than 2000 microns.
15 Because Roxicodone was defeated with this simple
16 tool and procedure, no further tools were
17 evaluated.

18 We concluded from this experiment that
19 Roxicodone is very easy to get into an abusable
20 form, which is a fine powder that could be snorted
21 or prepared for IV abuse.

22 For RoxyBond, we evaluated 7 different

1 tools, representative of cutting, crushing,
2 grating, and grinding with both mechanical and
3 electrical tools.

4 This table shows the amount of manipulation
5 time, manipulation difficulty, and yield of small
6 particles for each tool. This row shows the median
7 time in seconds that it took to adulterate the
8 tablet. The maximum time allowed in the protocol
9 was 300 seconds.

10 This row shows the manipulation difficulty.
11 Laboratory technicians rated the difficulty of each
12 manipulation on a scale of 1 to 10 where the 1
13 meant very easy and 10 meant impossible. This row
14 shows the percentage of particles smaller than
15 2000 microns.

16 Only tool G, which was an electric tool, was
17 able to reduce more than 90 percent of the
18 particles smaller than 2000 microns with a low
19 level of difficulty.

20 Pretreatment did not substantially increase
21 the yield of small particles, and we concluded from
22 these experiments that RoxyBond was difficult to

1 get into an abusable form for intranasal or IV
2 abuse with most tools.

3 The most effective tool for particle-size
4 reduction of each product was used as the method of
5 manipulation for all the other experiments. This
6 was tool E for Roxicodone and tool G for RoxyBond.

7 Next, I'll discuss the large-volume
8 extraction experiments. Large-volume extraction is
9 important to evaluate for extended-release opioids
10 that have the potential to dose dump in the
11 presence of certain solvents. However, there was
12 no practical advantage for large-volume extraction
13 of an immediate-release opioid.

14 Let me illustrate this with data from the
15 Roxicodone prescribing information for both intact
16 tablets and liquid oral solution. Essentially, you
17 can think of the oral Roxicodone solution as a
18 large-volume extraction of a crushed Roxicodone
19 tablet.

20 As you can see, the Cmax values or maximum
21 concentrations are very similar between the intact
22 tablet and the oral solution as were the times to

1 maximum concentration or Tmax. Therefore, a
2 large-volume extraction of an immediate-release
3 oxycodone product does not speed the oral
4 absorption over intact oral administration. The
5 drug comes out in the body just as fast intact as
6 it does in a fully extracted liquid solution.

7 An immediate-release product that is
8 resistant to physical manipulation and extraction
9 would not be expected to deter oral abuse.
10 Nevertheless, let's review the top line
11 large-volume extraction results.

12 This slide will compare the extraction of
13 oxycodone from Roxicodone and RoxyBond in
14 ingestible and non-ingestible solvents using
15 agitation B. The Y-axis is the percent of
16 oxycodone released, and the X-axis shows the
17 solvent code.

18 Roxicodone was easily defeated in 1 minute.
19 One hundred percent of the oxycodone was released
20 in solvent A, which is ingestible and widely used
21 by abusers. Almost no oxycodone was released by
22 RoxyBond at 1 minute, so I'll be showing results

1 after 30 minutes of extraction with agitation B.

2 The solid blue bars show the percent of
3 oxycodone released from intact RoxyBond tablets.
4 None of the solvents released an appreciable amount
5 of oxycodone. Manipulation of RoxyBond with tool G
6 is shown in the dashed blue bars. As you can see,
7 manipulating RoxyBond even with the most effective
8 tool did not have a meaningful impact on
9 extraction.

10 The briefing documents provide detail on
11 more extreme large-volume extraction conditions
12 that released significantly higher amounts of
13 oxycodone. However, as I mentioned earlier,
14 extractability in large volumes does not increase
15 the abuse potential for the oral route.

16 Next, I'll discuss the route-specific
17 manipulations we performed for intravenous
18 injection, including a small-volume injection and
19 syringeability. For each experiment, we performed
20 a small-volume extraction in an injectable amount
21 of solvent, and then used the smallest needle gauge
22 that was able to syringe the liquid. Laboratory

1 technicians performing these experiments rated each
2 condition on a 1 to 10 scale where 1 meant it was
3 very easy to syringe and 10 meant it was
4 impossible.

5 We used 3 different needle gauges to
6 evaluate syringeability. This figure shows all 3
7 needle gauges along with a dime to give you a sense
8 of the scale. Needle gauges A and B are typically
9 needles that might be used for IV drug abuse.
10 Needle gauge C, which is commonly used for blood
11 transfusions, was evaluated as an extreme case.
12 This needle size is not preferred for IV abuse.

13 Here we see the resulting IV preparations
14 when both Roxicodone and RoxyBond were manipulated
15 and subjected to volume A of solvent A. On the
16 left, the vial of Roxicodone shows the resulting
17 syringeable liquid.

18 This contrasts with the highly viscous
19 material formed when RoxyBond was subjected to the
20 same conditions. It formed a material that was
21 difficult to syringe and only produced a small
22 amount of syringeable liquid, and even with the

1 vial turned upside down, most of the material
2 sticks to the bottom of the vial.

3 The next slide will show the amount of
4 oxycodone recovered from the syringe following
5 small-volume extraction in solvent A at
6 temperature A with agitation A. The Y-axis is the
7 percent of oxycodone recovered, and the X-axis
8 shows the extraction time.

9 With manipulated Roxicodone at 1 minute,
10 98 percent of the oxycodone was recovered from a
11 syringe with the smallest needle gauge evaluated.
12 The median difficulty of syringing the material was
13 rated as a 1, so the material was very easy to
14 syringe.

15 At 1 minute, no oxycodone could be recovered
16 from RoxyBond in the intact condition. A very low
17 yield was recovered from manipulated tablets using
18 the largest needle gauge evaluated. The mean
19 difficulty score was rated as 9 on a 1 to 10 scale,
20 indicating the considerable challenge of syringing
21 this viscous material. Even at 30 minutes, the
22 recovery of oxycodone from RoxyBond was very low.

1 In addition to performing small-volume
2 extractions at temperature A, we also performed the
3 experiments using temperature B. Manipulated
4 Roxicodone released 89 percent of oxycodone in
5 1 minute. The yield of oxycodone from RoxyBond at
6 1 minute ranged between 1 and 18 percent. Even
7 after 30 minutes, the yield did not exceed
8 22 percent for any condition.

9 Overall, these experiments showed that
10 RoxyBond is highly resistant to being prepared for
11 injection with solvent A, which is by far the most
12 common solvent used for IV abuse.

13 In order to test RoxyBond to failure, we
14 evaluated extreme small-volume conditions for
15 intact and manipulated tablets. These conditions
16 included solvent H, which is an extreme solvent for
17 injection. The solution was subjected to
18 pretreatment D followed by agitation B for
19 30 minutes. The physical manipulation of the
20 tablet resulted in lower oxycodone release compared
21 to the Roxicodone intact.

22 In solvent H under agitation B after

1 pretreatment D and 30 minutes of extraction,
2 66 percent of the oxycodone recovered from the
3 intact RoxyBond. The fact that a condition was
4 identified in category 1 testing that released this
5 amount of oxycodone was not surprising.

6 RoxyBond is abuse-deterrent and is not
7 abuse-proof. But what is important to take away
8 here is that the only condition identified that
9 released this amount of oxycodone was extreme and
10 required a complex multistep process. This
11 particular extraction required pretreatment D, a
12 large intravenous volume, an extreme solvent,
13 agitation B at an extended time point, and even
14 despite all of these steps, the product is still
15 not in an easily abusable form.

16 In order to make solution A acceptable for
17 injection for most abusers, they would need to
18 perform additional back extractions and
19 neutralizations that's on top of the 30 minutes
20 already spent to prepare the formulation.

21 These barriers to IV abuse are considerable
22 in comparison to Roxicodone, which can be

1 completely and easily extracted for IV abuse in a
2 common solvent in just 1 minute. Overall, we
3 concluded that RoxyBond makes IV abuse considerably
4 more difficult and less attractive than Roxicodone.

5 In conclusion, the abuse-deterrent studies
6 for RoxyBond have demonstrated its physical and
7 chemical barriers to snorting and injection.
8 RoxyBond is difficult to convert into an abusable
9 form for IV and intranasal abuse. And even if
10 manipulated, particle-size reduction did not defeat
11 the abuse-deterrent properties.

12 Importantly, across every extraction
13 experiment conducted involving different solvents,
14 different temperatures, different agitation
15 conditions, pretreatments, different volumes, with
16 and without manipulations, RoxyBond had
17 considerably lower and slower oxycodone release
18 than Roxicodone.

19 Finally, the manipulated RoxyBond formed a
20 viscous material that was very difficult to draw
21 into a syringe. It created a considerable barrier
22 to IV abuse.

1 I thank you for your attention, and I will
2 now turn the lectern over to Dr. Lynn Webster to
3 present the results of the human abuse potential
4 study.

5 **Applicant Presentation - Lynn Webster**

6 DR. WEBSTER: Thank you, Bob.

7 Good morning. My name is Lynn Webster. I'm
8 vice president of scientific affairs at PRA Health
9 Sciences. My board certifications include
10 anesthesia, pain medicine, and addiction medicine.
11 Over the last 20 years, I've led dozens of research
12 programs for the development of safer and more
13 effective treatments for pain. I was also the
14 principal investigator for the intranasal human
15 abuse potential study for RoxyBond.

16 This study was a randomized double-blind,
17 double-dummy, placebo-controlled, 4-period
18 crossover study. The study enrolled recreational,
19 nondependent opioid users who were experienced with
20 nasal insufflation of opioids. Twenty-one subjects
21 met inclusion criteria for this study and entered
22 the treatment phase. Twenty-nine subjects

1 completed the study.

2 There were 4 treatment arms. The intranasal
3 Roxicodone arm used Roxicodone manipulated with
4 tool E. The intranasal RoxyBond arm used RoxyBond
5 manipulated with tool G. The study also included
6 arms for intact oral RoxyBond as well as placebo.
7 All the active treatments in the study used the
8 30-milligram dosage strengths.

9 The primary endpoint of the study was
10 drug-liking Emax, which is the maximum drug liking
11 at any time after administration. Key secondary
12 endpoints included take drug again, overall drug
13 liking, the drug effects questionnaire, and the
14 ease of snorting assessment.

15 With this background in mind, let's turn to
16 the PK results. This slide will show the mean
17 oxycodone plasma concentration on the Y-axis and
18 the time in hours post-dose on the X-axis.
19 Intranasal Roxicodone, shown by the red line, has
20 plasma concentrations characteristic of a snorted,
21 immediate-release opioid, a very rapid rise in
22 blood levels with a high Cmax.

1 When we compared this to intranasal
2 RoxyBond, shown by the blue line, we see that
3 concentrations were consistently lower than
4 Roxicodone through the first 3 hours. The light
5 blue line shows RoxyBond when taken orally as
6 intended.

7 Just looking at intact oral and snorted
8 RoxyBond, it's important to note that intranasal
9 administration actually resulted in a lower Cmax
10 and slower absorption of oxycodone compared to oral
11 administration.

12 Let's turn now to the pharmacodynamic
13 results. This graph shows the results of the
14 primary endpoint Emax or maximum drug liking. The
15 bipolar 100-point drug liking visual analog scale
16 is plotted on the Y-axis. As indicated on the
17 right, a score of 50 represents a neutral response.
18 100 is strong liking, and zero is strong disliking.

19 The primary endpoint was met. The 12-point
20 reduction in Emax between intranasal Roxicodone and
21 RoxyBond was statistically significant with a
22 p-value of less 0.0001. And consistent with the

1 pharmacokinetic results, subjects reported
2 significantly lower maximum drug liking when
3 RoxyBond was taken intranasally compared to intact
4 oral administration.

5 These are the results from all the treatment
6 arms. On this slide, I'll be plotting the mean
7 drug liking over the first 4 hours. The light blue
8 line shows RoxyBond when dose intact orally, which
9 increases gradually over the course of the first
10 hour and a half.

11 The red line shows snorted oxycodone, which
12 increased considerably faster than the oral
13 RoxyBond. This more rapid onset of drug liking is
14 why many abusers prefer snorting opioids over
15 taking them orally.

16 Adding in intranasal RoxyBond versus
17 Roxicodone, we see that drug liking was lower for
18 RoxyBond at all time points through 4 hours.

19 This slide shows take-drug-again Emax. A
20 score of 100 means they definitely would take the
21 drug again, 50 means they didn't care one way or
22 another, and zero means they definitely would not

1 take it again. Subjects reported they would be
2 very willing to snort Roxicodone again with a mean
3 score of 82. The take-drug-again score for snorted
4 RoxyBond was 20 points lower, which was
5 statistically significant.

6 This slide shows overall drug liking, which
7 is measured after 12 and 24 hours when subjects
8 have had a chance to reflect on the entire drug
9 taking experience. Consistent with the other
10 endpoints in the study, the overall drug liking
11 Emax of intranasal RoxyBond was 17 millimeters
12 lower than Roxicodone, which was statistically
13 significant.

14 We measured drug high on a unipolar scale
15 where a score of 100 meant extremely high and a
16 score of zero meant not at all high. Intranasal
17 RoxyBond was associated with a significantly lower
18 high than intranasal Roxicodone. The maximum drug
19 high for RoxyBond was 28 points lower than
20 Roxicodone.

21 We also assessed the ease of snorting
22 Roxicodone and RoxyBond on a unipolar scale where

1 zero means very easy to snort and 100 means very
2 difficult. Roxicodone had an average score of 9,
3 indicating that participants rated it as easy to
4 snort. RoxyBond received a score of 72, indicating
5 that participants found it significantly more
6 difficult.

7 There are two published studies in
8 peer-reviewed literature that have attempted to
9 determine the clinical relevance of findings from
10 human abuse potential studies. Before I review
11 them, I think it's important to acknowledge that
12 the science of abuse deterrence is relatively new.

13 We have really just started to learn how
14 human abuse potential studies can predict
15 real-world reductions in abuse. I consider these
16 studies as a useful anchor to evaluate the clinical
17 relevance rather than a definitive answer.

18 In the first study, a meta-analysis approach
19 was used to evaluate the association between human
20 abuse potential study endpoints with potential
21 reductions in real-world rates of nonmedical use.
22 Since there are no approved immediate-release

1 abuse-deterrent formulations, we applied the model
2 for extended-release, abuse-deterrent oxycodone.

3 In their meta-analysis, a 5-millimeter
4 difference in overall drug liking was associated
5 with an approximate 10 percent reduction in the
6 rate of nonmedical use for abuse-deterrent
7 formulations of ER products. The results from this
8 meta-analysis suggests that the 17-millimeter
9 reduction in overall drug liking with RoxyBond is
10 likely to lead to reductions in abuse.

11 The second study determined the clinically
12 important difference in drug-high Emax. Using a
13 variety of statistical methods, the researchers
14 determined that differences between products of 8
15 to 10 millimeters in drug-high Emax led to
16 clinically significant changes in drug-taking
17 behavior.

18 RoxyBond's 28-millimeter difference in
19 drug-high Emax, compared to Roxicodone, supports
20 the conclusion that RoxyBond has a lower abuse
21 potential than Roxicodone for the intranasal route
22 of abuse.

1 In summary, RoxyBond met its primary
2 endpoint with significantly lower maximum drug
3 liking for intranasal administration compared to
4 Roxicodone. RoxyBond also met its secondary
5 endpoints. Compared to Roxicodone, RoxyBond was
6 less likely to be taken again, had a lower overall
7 drug liking, had a lower drug high, and was more
8 difficult to snort.

9 The pharmacokinetics were consistent with
10 the pharmacodynamics, and the PD findings are
11 consistent with the clinical significance we found
12 in the literature.

13 In conclusion, the findings from the
14 intranasal human abuse potential study strongly
15 suggest that RoxyBond can lead to a real-world
16 reduction in intranasal abuse.

17 I would now like to turn the lectern over to
18 Dr. Gudin to give his clinical perspective on
19 RoxyBond.

20 **Applicant Presentation - Jeffrey Gudin**

21 DR. GUDIN: Good morning. My name is Jeff
22 Gudin. I'm the director of pain management and

1 palliative care at the Englewood Hospital and
2 Medical Center in New Jersey. My board
3 certifications include anesthesiology, pain
4 medicine, addiction medicine, and hospice and
5 palliative care.

6 After more than 20 years of treating
7 patients with pain as well as addiction disorders,
8 I'm able to offer a unique perspective on the
9 challenges associated with opioid use in both of
10 these populations.

11 I have published throughout my career on
12 safe prescribing and appropriate risk management
13 for opioid analgesics, and I'm here to provide my
14 clinical perspective on the questions under
15 discussion by the expert committees today.

16 The first question is whether RoxyBond
17 should be approved for the proposed indication for
18 the management of pain severe enough to require an
19 opioid analgesic and for which alternative
20 treatment options are inadequate.

21 The second set of questions is whether there
22 are sufficient data to support a finding that

1 RoxyBond has properties that can be expected to
2 deter abuse by the intranasal and intravenous
3 routes. I'll begin with the first question.

4 RoxyBond demonstrated that when taken as
5 intended, it has comparable bioavailability to
6 Roxicodone. Therefore, RoxyBond's efficacy and
7 safety should be equivalent. This means a
8 clinician who is prescribing Roxicodone can switch
9 a patient to RoxyBond at the same dose with the
10 same schedule and expect the same level of
11 analgesia.

12 Furthermore, the fact that food does not
13 have a clinically significant impact on
14 bioavailability means that patients won't need
15 special instructions relating to meals.

16 Overall, these data suggest that RoxyBond
17 would be effective and poses no additional risks
18 beyond those of existing immediate-release,
19 single-entity oxycodone products. Therefore, I
20 believe RoxyBond should be approved for its
21 intended use.

22 Turning now to the questions of abuse

1 deterrence, we must ensure that the abuse we're
2 trying to deter is relevant to what is happening in
3 the real world. Earlier, Dr. Dart clearly laid out
4 the challenges we face, how to balance the needs of
5 the pain patient while also protecting public
6 health.

7 As a pain management and addiction
8 specialist, I have a unique view on that problem.
9 I see the need for opioid medications for
10 analgesia, but I also recognize the frequency with
11 which these medications are diverted and abused.

12 We know where most abusers get their drugs.
13 SAMSHA reports that 69 percent of nonmedical opioid
14 users obtain their drugs from a friend or family
15 member either for free or by stealing or buying
16 them, which is shown here in red and blue. Of
17 those who obtain their opioid for free, 82 percent
18 of those prescriptions were from a single licensed
19 prescriber.

20 Now, in my practice, I usually feel
21 comfortable evaluating the potential risk of abuse
22 of the patient sitting in front of me, but I cannot

1 control what happens to the medications once they
2 are dispensed to the patient, and especially cannot
3 control the risk of diversion.

4 It is important to remember that the public
5 health benefit of abuse-deterrent formulations are
6 not only for patients but for anyone with access to
7 their medicine cabinet.

8 There are several important real-world
9 considerations to keep in mind for abuse
10 deterrence. One is that most abusers start with
11 immediate-release opioids, so an abuse-deterrent IR
12 therapy like RoxyBond presents an opportunity to
13 intervene at an earlier stage in the cycle of abuse
14 and can be expected to deter some individuals from
15 progressing to more dangerous routes.

16 Another consideration is that
17 abuse-deterrent products are just that, deterrent.
18 None are abuse-proof. All can be defeated with
19 enough time, knowledge, and effort. Therefore, in
20 my opinion, the clinically relevant questions to
21 ask today about an abuse-deterrent formulation are:
22 does the product make abuse more difficult, and

1 does it make the experience less rewarding? From
2 my perspective, as I'll discuss in a moment,
3 RoxyBond meets these criteria.

4 Based on the category 1, 2, and 3 data shown
5 today, RoxyBond can be expected to deter intranasal
6 and intravenous abuse, an important accomplishment
7 for public health, as IR oxycodone is commonly
8 abused by these risky routes.

9 Overall, RoxyBond slows release and resists
10 extraction of oxycodone when manipulated compared
11 to intact oral administration. As you've heard,
12 this will be counterintuitive for abusers who
13 usually associate manipulation for non-oral routes
14 with a faster and greater high. RoxyBond is not
15 expected to deter oral overconsumption. No product
16 is yet to have that profile.

17 For the intranasal route, RoxyBond was not
18 only difficult to get into an abusable form for
19 snorting, it was also more difficult to snort than
20 Roxicodone. The human abuse potential study also
21 showed that manipulated intranasal RoxyBond led to
22 lower and slower oxycodone absorption,

1 significantly lower drug liking and less
2 willingness to take drug again compared to crushed
3 and snorted Roxicodone.

4 In short, RoxyBond achieved two goals of
5 intranasal abuse deterrence. The formulation made
6 it more difficult to manipulate, and importantly,
7 reduced the reward associated with snorting. In
8 terms of IV abuse deterrence, RoxyBond was
9 resistant to particle-size reduction and was
10 difficult to extract.

11 When RoxyBond was manipulated and prepared
12 for injection, it formed a viscous material that
13 resisted being syringed. Further, even the worst
14 case scenario for extracting oxycodone from
15 RoxyBond for IV abuse required the kind of time,
16 tools, knowledge, and materials that are generally
17 beyond what I've seen substance abusers are willing
18 to do.

19 When considering its ability to deter the
20 dangerous intranasal and intravenous routes of
21 abuse, it's my opinion that RoxyBond is a
22 significant improvement over non-abuse-deterrent

1 products and should be approved with a label that
2 reflects these properties.

3 I would like to close by placing
4 abuse-deterrent formulations in perspective.
5 Certainly, there's not a single simple solution to
6 the prescription drug crisis. Doctors need to do
7 their part by following prescribing guidelines and
8 implementing risk management strategies.

9 Abuse-deterrent products are also an
10 important component of the larger public health
11 initiative. A joint effort of sponsors, the FDA,
12 and these committees have led to the approval of
13 nine abuse-deterrent opioid formulations for
14 extended-release products. However, as you heard
15 previously, there are no approved immediate-
16 release, abuse-deterrent formulations.

17 It's time to start providing the same public
18 health advantages to immediate-release products.
19 The full impact of abuse-deterrent technologies on
20 the prescription opioid epidemic cannot be realized
21 until all prescribed opioids are abuse-deterrent.
22 And in fact, the FDA's stated goal is to eventually

1 have abuse-deterrent formulations for all major
2 opioids.

3 As a clinician treating both pain patients
4 as well as those struggling with substance abuse, I
5 look forward to the day when we have analgesics
6 without rewarding properties. But until then, if
7 an immediate-release opioid needs to be in the
8 medicine cabinet, it should be one with
9 abuse-deterrent properties.

10 Thank you for the opportunity to share my
11 perspective. I'll now turn the lectern back to
12 Dr. Aigner.

13 DR. AIGNER: Thank you, Dr. Gudin.

14 We would like to open this session up to
15 questions, and we would appreciate if you could use
16 the codes, which are included in the last page of
17 the briefing document to keep the conditions
18 blinded.

19 **Clarifying Questions**

20 DR. BROWN: Please remember, members of the
21 committee, when you are asking clarifying questions
22 for Inspirion to state your name for the record,

1 and if you can, please direct your questions to a
2 specific presenter.

3 Dr. Kibbe?

4 DR. KIBBE: Just so you know where I'm
5 coming from, I'm a formulator, okay? So I don't
6 deal with abuse potential in patients; I deal with
7 pharmaceutical formulations.

8 So earlier I asked about dissolution
9 standards because the USP has a dissolution
10 standard for an immediate-release oxycodone, and
11 then I look at your CO-33, and even at 30 minutes,
12 very little is coming out. And yet, your
13 dissolution standard has to be over 75 percent.

14 Where am I going wrong? How am I not
15 connecting these two correctly?

16 DR. AIGNER: You are correct that we are at
17 80 percent at 30 minutes in a special dissolution
18 medium. It would not be solvent A or any of the
19 solvents listed here.

20 DR. KIBBE: If I go to the USP, are you
21 using the medium that the USP recommends for
22 immediate-release oxycodone tablets?

1 DR. AIGNER: No. It would be -- no. It's
2 different because the tablet is formulated to
3 release in the GI environment, so the dissolution
4 spec, 80 percent is tied to a dissolution method
5 which mimics the GI environment.

6 DR. KIBBE: Right. So the USP requires
7 70 percent in 45 minutes in a hydrochloric acid
8 solution, 500 mLs. Okay.

9 The next question is because of the
10 mechanism of your tablet, what would be the impact
11 on a patient who is achlorhydric?

12 DR. AIGNER: We did give some thought to if
13 patient's achlorhydric, PPI treatment, and we have
14 a slide. Here it is.

15 As to the searches of what PPIs do to the
16 pH, we found two references. One is for
17 esomeprazole, Nexium, the other one for
18 tenatoprazole. And there for the median, you get
19 an increase to 4.8 and 5, and you see the ranges as
20 well. As you compare that now to what food does to
21 the pH, we did some searching there, and fasted
22 would be at 1.7, fed at 5.0.

1 Now, if we look at the results of our fed
2 versus fasted -- results I think is a page behind
3 this page -- you see that for RoxyBond fed versus
4 fasted, very similar changes in pH. The AC
5 increased for RoxyBond again, RoxyBond fed compared
6 to RoxyBond fasted, 23 percent and an 18 percent
7 increase in Cmax. And as you go to the last bullet
8 point, Inspirion does agree with the FDA in the
9 conclusion that a food restriction should not be
10 recommended for RoxyBond.

11 So although the pH is important, it's not
12 the only parameter driving the dissolution of
13 oxycodone out of RoxyBond.

14 Would that answer your question?

15 DR. KIBBE: I think so. I have I think a
16 question on CO-59, which goes to the same issue, so
17 that basically -- let me see if I can find 59.

18 Your outer film is then pH independent?

19 DR. AIGNER: If we could stick to the code,
20 it would be -- the outer film would be pH
21 dependent.

22 DR. KIBBE: pH dependent?

1 DR. AIGNER: Dependent.

2 DR. KIBBE: Okay. Therefore, changes in pH
3 that you just discussed should have an effect on
4 that film.

5 DR. AIGNER: We studied it in our PK
6 studies, and both at fasted as in fed, we did not
7 see big differences in absorption.

8 DR. KIBBE: So that the pH-dependent outer
9 film didn't act like it was pH dependent?

10 DR. AIGNER: It certainly would. We put a
11 lot of resources into creating that barrier, and we
12 had to pick exactly the right balance allowing it
13 for effective release in the GI, being comparable
14 bioavailable to Roxicodone, both fed and fasted.
15 So there was some significant engineering going on
16 to hit the right balance.

17 DR. KIBBE: But it also then prevents it
18 from being dissolved conveniently in non-GI tract
19 solutions --

20 DR. AIGNER: Outside the human GI system, as
21 the data was shown in vitro, it significantly
22 reduces the release and creates a significant

1 hurdle for extraction.

2 DR. KIBBE: Thank you.

3 DR. BROWN: Dr. Litman?

4 DR. LITMAN: Thank you. Ron Litman. I
5 apologize in advance. I have a few questions.

6 Dr. Dart, the slide CO-16, you talk about
7 the different types of patients that are going to
8 use opioids, and that makes complete sense. But
9 the slides that you showed afterwards on the
10 research on the preference and abuse, which
11 population do those studies pertain to?

12 It would seem that it would only be the
13 advanced abusers, which are the group that you said
14 won't be affected really much by ADFs.

15 DR. DART: So the data that were shown are
16 from the NAVIPPRO system, which are individuals
17 entering treatment for substance abuse are used for
18 illustration. I completely agree that they're
19 going to be more likely advanced abusers who
20 manipulate products more, and snorting and
21 intravenous are higher there.

22 The point was just to say that these routes

1 are important because in previous advisory
2 committees, sometimes that was a question that came
3 up repeatedly. If you look at the poison center
4 data, for example, you'd find that the oral goes up
5 some, and those go down by roughly half.

6 DR. LITMAN: So the follow-up question then
7 to that is I'm trying to get an idea, as I'm sure
8 you have also, when you look at that initial slide,
9 is who is being helped by ADF formulations across
10 the board. Is there any kind of data that shows us
11 what proportion of those beginning, those novice
12 pain patients, or their friends, who are just
13 starting to experiment?

14 DR. DART: The data are weak there. We
15 don't have great evidence. The best we have, I
16 think, are students in recovery high schools who
17 have been questioned in detail about their
18 progression from non-use to abuse of an opioid.
19 And they do feel -- in those, it does seem like
20 they start oral. They do progress along this
21 pathway. The concept of manipulation, they on a
22 survey will say that would have slowed me down or

1 stopped me from progressing.

2 Now, I want to emphasize that these are
3 surveys of high school students, and so I'm not
4 saying that's fact. But it gives me some support,
5 intellectual support, to say that that mechanism is
6 possible.

7 DR. LITMAN: Yes, I think we're probably
8 thinking the same thing. I'm trying to get my head
9 around how many people is this really going to help
10 versus the cost to society, of turning all these
11 drugs into ADFs. Thank you.

12 I have a follow-up question for Mr. Bianchi,
13 please, or someone else. In slide CO-30, tool G
14 was effective in rapidly getting a powder. I think
15 I just wanted to confirm because I think the
16 question was answered by one of the later speakers.

17 If you then take that powder using tool G,
18 that's not injectable? Will that form that solid
19 mass there that you showed in that nice picture?

20 DR. AIGNER: Yes. In small-volume
21 extractions of the tool G, that creates that
22 viscous solid mass.

1 DR. LITMAN: Even if you used tool G and you
2 got it down to this fine powder easily, if you
3 tried to inject it, it will be too difficult.

4 DR. AIGNER: Correct.

5 DR. LITMAN: Thank you. I apologize again.
6 I think I had one more question for Dr. Webster.

7 The studies that you showed us, were those
8 separate patients that were taking the different
9 types of preparations, or is it the same patient
10 that's comparing the likeability to each
11 preparation? I'm trying to get an idea of whether
12 it's relative.

13 DR. WEBSTER: You're talking about the human
14 abuse studies?

15 DR. LITMAN: Yes.

16 DR. WEBSTER: Yes. They're the same
17 patients -- same subjects rather get all arms of a
18 study. So it's a comparison to themselves.

19 DR. LITMAN: So it's very possible that if
20 someone snorted the manipulated Roxicodone and then
21 next snorted the RoxyBond, it would make sense that
22 it wouldn't be as good, right, as opposed to the

1 other way around or separate people?

2 DR. WEBSTER: Yes. It's randomized, so some
3 are going to get RoxyBond first and some are going
4 to get Roxicodone, some are going to get placebo,
5 but that's correct. They're comparing each of the
6 arms themselves.

7 DR. LITMAN: Thanks very much.

8 DR. BROWN: Dr. Emala?

9 DR. EMALA: Charles Emala. My question is
10 slide 38 but probably also applies to 39 and 40.
11 These are small-volume extractions where the
12 percent of Roxicodone recovered was measured. And
13 I'm curious. I assume that that's the amount
14 that's measured in a small liquid volume that's
15 left over mixed in with this gelatinous mix. I'm
16 curious, in that liquid component whether any of
17 the excipient concentrations were measured.

18 DR. AIGNER: We did not measure the
19 excipient concentrations.

20 DR. BROWN: Dr. Choudhry?

21 DR. CHOUDHRY: Niteesh Choudhry. I've got
22 two questions, both relate to public health impact,

1 probably picking up on what Dr. Litman was talking
2 about.

3 The first is for Dr. Dart, and it's CO-21.
4 What I'm trying to figure out -- we've talked a
5 little bit, I think, about the cohort from whom
6 this might be drawn already.

7 What I'm trying to figure out even further
8 is to reconcile these numbers with the numbers that
9 I understand to be related in the briefing book,
10 which give slightly -- they come from the RADARS
11 Treatment Center, so perhaps a different context,
12 but rates of IV and intranasal abuse are somewhat
13 lower than what's presented here.

14 If you could, Dr. Dart, kindly just clarify
15 some of the discrepancy.

16 DR. DART: The main difference that always
17 generates confusion on this issue is that these are
18 the single-entity oxycodone products, which are
19 openly preferred by abusers, like if you look at
20 web postings and stuff, whereas a lot of the data
21 includes the combination immediate-release and
22 acetaminophen, for example, which do have lower

1 rates of snorting and intravenous abuse.

2 DR. CHOUDHRY: Great. Okay. Second
3 question is for Dr. Webster, and it's about CO-54.
4 I'm sure the same would apply for 55. Hard to find
5 this paper online briefly, but tried to. And it
6 seems as though the data on drug liking comes from
7 abuse studies, and the other data on nonmedical use
8 comes from population-based surveys, so different
9 patients, and in fact, different potential
10 outcomes.

11 Can you just give us -- do you have more
12 detail that you can provide about these studies and
13 what we should make -- I appreciate that the
14 science is very nascent.

15 DR. WEBSTER: Well, I don't have much more.
16 That's why I wanted to be clear in my presentation
17 that this is embryonic research, I think. We are
18 at the beginning of trying to understand real-world
19 impact, a difference of 10 millimeters, or
20 20 millimeters, or even drug liking versus take
21 drug again means.

22 But it is a start, and these are the only

1 two studies that I'm aware of that gives us some
2 reference, and as I said, kind of an anchor from
3 which now we go forward. And I think since we have
4 now nine ER products out there with abuse
5 deterrence, and hopefully today's, we will be able
6 then in another year or two to maybe go back and
7 take a look at a larger amount of data.

8 But this is taking a look at a surrogate for
9 the real world, and we're trying to apply that to
10 the real public health problem, but there's not
11 much real good data.

12 DR. STAFFA: This is Judy Staffa. Could I
13 add some clarity to that? Because we have looked
14 at that paper actually, and I agree, it's very new
15 science. But since we're very, very interested in
16 trying to understand how the premarket data
17 actually translate to postmarket, we took a careful
18 look at this.

19 It is very crude. It's a very crude measure
20 because the predictors are basically a
21 meta-analysis of a whole bunch of heterogenous
22 house studies, so they're all very different. So

1 the measures there are pretty crude, and then
2 they're used to predict nonmedical use in the
3 National Survey on Drug Use and Health, which,
4 again, what we've learned about is that nonmedical
5 use is also a very broad category.

6 The majority of it seems to be misuse, which
7 is using someone else's medication to treat pain,
8 which is very, very different than altering the
9 product and snorting it and injecting it to get
10 high.

11 So these are two very crude measures being
12 connected, and the 10 percent reduction is really
13 akin to more like 2.21 percent to 1.96 percent, so
14 it's very, very small. So we applaud the effort to
15 try to do this, but the actuality of it, I think,
16 as Dr. Webster said, is going to be quite a long
17 time before we get there.

18 DR. BROWN: Judy, can we use this data?
19 Does it make sense for us to think of this as
20 something that is useful for us to use against
21 other products, or do we need to --

22 DR. STAFFA: In my opinion, no, because I

1 think, again, this is just too crude. And
2 remember, none of the nine products that were
3 approved actually have, to FDA's satisfaction,
4 postmarketing data that actually demonstrates that
5 they have reduced abuse in the real world and that
6 that reduction is due to the product.

7 We're not there yet. I think we're
8 diligently trying to learn more, and the companies
9 are diligently working on that through their
10 postmarketing required studies. So I think we
11 don't know at all yet what these products are
12 actually doing in the marketplace, but we are all
13 trying to figure that out.

14 I think once we have that piece and we have
15 a product where we believe the results of the
16 postmarket study suggest that that product in the
17 reformulation has changed abuse, then that could be
18 the anchor which we then go back and look at the
19 premarket work to see what were the dimensions in
20 the premarket work for that product, and then we
21 could use that to inform other product development.

22 DR. BROWN: Thank you.

1 Dr. Warholak?

2 DR. WARHOLAK: Terri Warholak. I have
3 several questions. I'm going to ask them one at a
4 time, if you're okay with that. Okay.

5 The first one, I believe, is probably for
6 Mr. Bianchi. I was looking at the briefing
7 booklet, and there's a page that indicates at
8 60 minutes intact, there's a significant more
9 percentage of the active drug extracted. Then it
10 was indicated on the next page that 60 minutes was
11 not used because of a study about how much time
12 abusers are willing to spend.

13 I looked at some internet chatrooms really
14 quick, and at least from what I could find, that
15 doesn't seem to be the case. It seems like they're
16 willing to spend quite a bit of time. Could you
17 tell us a little bit more about that study?

18 DR. AIGNER: Dr. Webster, would you
19 be -- the study which indicated the 10 and the
20 16 minutes, is the study?

21 DR. WARHOLAK: Yes, it's the supporting
22 study that abusers tend not to spend more than 10

1 to 16 minutes trying to manipulate a product for
2 abuse.

3 DR. AIGNER: You have the Weisberg study?

4 DR. WEBSTER: Well, I don't know about the
5 chatrooms that you're look at, but of the published
6 data, we're looking at a meantime of about
7 15 minutes for most people that are willing spend
8 time to manipulate something.

9 Obviously, there are people who will spend a
10 lot more time. If they have the expertise and the
11 interest, there are people who will spend a large
12 amount of time. But the average amount of time or
13 the median amount of time, I believe, is about 10
14 to 15 minutes.

15 DR. AIGNER: Also, if I just may add to
16 Dr. Webster's comment, as we think about what
17 abuse-deterrent products are supposed to do, put an
18 incremental hurdle in to make it more difficult,
19 less rewarding. If you're nothing but 30 minutes,
20 taking that to 60 minutes, we would believe -- and
21 we presented the data for Roxicodone, which is
22 widely available -- abusable to date is 1 minute,

1 100 percent together with in solvent A,
2 temperature A. And all the extractions, at
3 30 minutes, we showed the worst case. It's really
4 important to study the conditions with
5 pretreatments and extreme solvents, H, extended
6 time periods, agitation, requiring additional steps
7 before injection.

8 From our perspective, as we said, no product
9 is abuse-proof today, only abuse-deterrent, and is
10 that what an abuser has to do with 30 or 60 minutes
11 together with all these conditions, a significant
12 hurdle?

13 DR. WARHOLAK: Okay. My next question is,
14 again, utilizing the quick search I just did, it
15 looks like rectal abuse is something that people
16 are talking about. Have you studied that at all?
17 And if so, what have you found?

18 DR. AIGNER: We have not studied rectal
19 abuse. If we had to guess, based on the data, all
20 of us had to guess on the data, given the pH in the
21 rectal environment and as you use your code sheet
22 to decipher what were promising agents and

1 solvents, we would not think that RoxyBond would
2 lend itself to rectal abuse. Roxycodone certainly
3 would.

4 DR. WARHOLAK: Then the last one is I
5 applaud you for making an effort to have
6 abuse-deterrent formulations, but I wanted to think
7 about unintended effects. So the MorphaBond ER
8 uses the SentryBond technology, which is similar or
9 the same to what you're using, right?

10 DR. AIGNER: Very similar.

11 DR. WARHOLAK: With MorphaBond ER, what
12 kinds of adverse events have you had for IV abuse?

13 DR. AIGNER: MorphaBond has not been
14 launched yet. It's just making large quantities
15 for launch later on this year. Hopefully we can
16 provide that data earlier next year.

17 DR. WARHOLAK: Thank you.

18 DR. BROWN: Dr. Walsh?

19 DR. WALSH: Thank you. Sharon Walsh. I
20 have a couple of questions. I think that they're
21 probably all for Dr. Webster. If I understand it
22 correctly in the HAL study, the intranasal RoxyBond

1 was first manipulated with tool G, and that tool
2 from the in vitro data looks very effective at
3 reducing particle size.

4 Do you have a picture of the preparation the
5 subjects then insufflated in the study available
6 for us to see?

7 DR. AIGNER: We actually do not have in the
8 deck right now, but if it would interest you, we
9 would find it over the break and show it to you
10 after the break.

11 DR. WALSH: Okay. I appreciate that.

12 I'm curious, given the in vitro data that
13 show the very small particle size, the fact that
14 the subjects uniformly rated the snortability of
15 the product as being very low compared to the
16 comparator, can you comment on what was it about
17 the drug that made it difficult to snort?

18 I don't see -- you haven't discussed any
19 addition of an aversive agent or anything like
20 that, so I'm wondering what it was about the
21 subjective experience.

22 DR. WEBSTER: Even though it was in a small

1 powder like, it wasn't really a powder. I think
2 that the subjects sometimes do a comparison because
3 they get everything, and obviously, the Roxicodone
4 is very fine, and it's easy to insufflate. But
5 there were granules within the RoxyBond, so it was
6 more difficult for them to insufflate, and it was
7 more irritating.

8 We have a slide actually, if I could have
9 you pull up on the irritation slide, which can
10 reflect why. It's kind of an indirect answer, if
11 you can give that to me, and show you the score
12 here.

13 You can see here the difference between
14 RoxyBond and Roxicodone on these indices, on
15 irritation, burning, runny nose, nasal discharge,
16 facial pain, pressure, and nasal congestion. That
17 doesn't directly go to the ease of snorting, but it
18 does probably have an impact on their perception of
19 ease of snorting.

20 DR. WALSH: Thank you. I have a follow-up
21 question about this. If you look at CO-46, which
22 are the pharmacokinetic data, the curve for the two

1 intranasal formulations really look identical in
2 shape, and the exception, the difference is simply
3 that the concentrations are lower for RoxyBond
4 that's manipulated.

5 Given that the subjects are saying that
6 they're having difficulty snorting it, I'm
7 wondering if this difference is really just that
8 they are not snorting all of the drug that's
9 available to them.

10 DR. WEBSTER: No, they snorted it all unless
11 they swallowed it and we didn't detect that. But
12 we don't think that that was a factor. They could
13 insufflate the Roxicodone in a minute and in a
14 minute and a half or 2. They were very similar in
15 the length of time formed to insufflate, but not
16 more than 2 or 3 minutes for both of them.

17 I think that it all was insufflated, and I
18 think it was just a slower release because it was
19 manipulated. That's the understanding I have from
20 I think the compound itself.

21 DR. AIGNER: If helpful, so RoxyBond as we
22 thought about for the snorting route what we'd like

1 to do, and we indicated earlier RoxyBond, either
2 intact or manipulated, relating to the pH of the
3 nose is not releasing as fast.

4 So to be honest, we're not surprised that
5 the curve was lower. We believe that the RoxyBond
6 itself just releases a lot less in the nasal cavity
7 than Roxicodone does, and there's plenty of
8 in vitro data.

9 DR. WALSH: Right. I think that the thing
10 that you point to in the briefing book is that
11 there's a difference in the Tmax, which we think is
12 relevant to the abuse experience. I think the
13 Tmax -- I don't remember what table it was in, but
14 it was 1 hour compared to 1.8 hours. When you look
15 at the range of scores, actually the ranges look
16 virtually identical for the two products.

17 So I guess the final question then for
18 Dr. Webster, since you think that they're snorting
19 all of it, but you also said that some of it is
20 more granular, do you think that there's an
21 appreciable amount that is being swallowed because
22 of the particle size?

1 DR. WEBSTER: I'd have to guess on that, but
2 I do think that some of it's probably being
3 swallowed because it's large enough. That's about
4 the only other explanation I have, other than the
5 slow release that may be something that's about the
6 formulation because of the pH.

7 DR. WALSH: Thank you.

8 DR. BROWN: Dr. Schmid?

9 DR. SCHMID: Chris Schmid. This is I think
10 more for Dr. Webster. The same set of slides, 46
11 to 51, let's say. My question is really about the
12 oral formulation. It looks to me as if the
13 Roxicodone manipulated is liked about as much as
14 the RoxyBond oral, and if you look at the Cmax and
15 the mean drug liking curves, the peaks are fairly
16 close to each other.

17 I'm assuming that the manipulated taken
18 intranasally would be liked or you'd get the effect
19 quicker than you would in an oral form. So since
20 you didn't do a Roxicodone oral form in the
21 crossover, I'm just wondering what we should think
22 about the fact that the RoxyBond intact is getting

1 results very close to the Roxicodone manipulated.

2 DR. WEBSTER: I'm not sure I understand your
3 question, but I think that if you saw an oral
4 Roxicodone and an oral RoxyBond, they'd probably be
5 very similar. I think we have that data, but I'll
6 show you here something. Slide up.

7 This is the liking, and you can see this is
8 the liking of -- the light blue is RoxyBond intact,
9 and the Roxicodone manipulated with the red, and
10 then you see the RoxyBond manipulated. So there's
11 a delay, a significant delay, or I should say an
12 earlier Tmax for Roxicodone when it's manipulated.
13 But the oral RoxyBond and the manipulated are
14 similar up to about a half an hour.

15 DR. SCHMID: So if I look at slide 47, then,
16 for example, which shows an 83 percent mean drug
17 liking for the manipulated Roxicodone and 81 for
18 the RoxyBond oral, those are pretty much the same.
19 But you're saying here that it would take an extra
20 half hour for them to reach the peak.

21 DR. WEBSTER: Yes.

22 DR. SCHMID: That doesn't seem to bother

1 them, or how do I interpret that?

2 DR. WEBSTER: This is an intranasal
3 abuse-deterrent formulation. If you take it
4 orally, they're going to have the same Cmax. The
5 difference here is the timing, and that is
6 important to the subjects. So they want to get it
7 as soon as they can, and any delay would be a
8 problem.

9 Did I answer your question?

10 DR. SCHMID: I guess. I'm just wondering
11 why their -- slide 47 is their maximum drug liking,
12 so that seems to be fairly similar between the two,
13 and yet I would think that if it took them longer
14 to get the high, that they'd like it less. That's
15 what I'm wondering.

16 DR. WEBSTER: Yes. That's just the data.

17 DR. AIGNER: But would it make sense to go
18 back to the time curve of liking and really explain
19 why abusers do snort oxycodone?

20 DR. WEBSTER: This is the drug liking that
21 we have. I'm not sure what your point was, Stefan.
22 Go ahead.

1 DR. AIGNER: Roxicodone IR is widely
2 snorted, and I believe this graph explains why
3 people really appreciate snorting Roxicodone today,
4 and RoxyBond does not allow them to get that
5 benefit.

6 DR. WEBSTER: Yes. That's what I was
7 saying. So it's the earlier Tmax. Same Cmax, but
8 earlier, and it's that ratio. That's what
9 important. It's always the Cmax over Tmax. You
10 can get to the same Cmax, but if you get there
11 faster, it's going to be liked more.

12 DR. AIGNER: Dr. Dart?

13 DR. DART: Just for clarification, this is
14 specifically at-the-moment liking. And so I think
15 that's what's being missed here, is that each of
16 those points is at right now, what do you think,
17 not what has been your experience over the previous
18 30 minutes or half hour -- 30 minutes or whatever
19 the time period is.

20 You can see where the blue line eventually
21 gets there, and that gets back to Dr. Aigner's
22 point, that that's the whole point, is it takes

1 them a long point to get there.

2 DR. AIGNER: And abusers do appreciate that
3 very fast ramp up in the red line, and at the
4 earliest time points, they've got a significant
5 euphoria versus for the other lines, they do not.
6 The same for injection, that follows that rush
7 immediately, is what they seek.

8 DR. BROWN: Dr. Zacharoff?

9 DR. ZACHAROFF: Kevin Zacharoff. I have a
10 couple of questions. My first question is for
11 Dr. Bianchi with respect to slide CO-33. It seems
12 to me that for solvent H, the large-volume
13 extraction, that more oxycodone was released in the
14 intact RoxyBond as compared to the manipulated
15 RoxyBond at 30 minutes. I just wanted to make sure
16 that I'm interpreting that correctly.

17 MR. BIANCHI: Yes, you are.

18 DR. ZACHAROFF: Is there any data that you
19 have along different time points, as was mentioned
20 earlier, to see whether that continued with time
21 beyond 30 minutes?

22 MR. BIANCHI: No, we don't have any

1 additional time points.

2 DR. ZACHAROFF: Any thinking as to why more
3 was released from the intact formulation as opposed
4 to the manipulated one?

5 MR. BIANCHI: Well, the design of the
6 RoxyBond is to slow the release, and that's exactly
7 what it did, slow the release when it was
8 manipulated.

9 DR. ZACHAROFF: So when it's intact form in
10 a solvent, does it not necessarily act as an
11 abuse-deterrent formulation?

12 DR. AIGNER: If I could answer that
13 question, too.

14 DR. ZACHAROFF: Sure.

15 DR. AIGNER: On the left-hand side, you see
16 Roxicodone -- and it's always very easy to miss
17 that -- Roxicodone at 1 minute in solvent A,
18 manipulated gives you 100 percent. I think it
19 really was 100 percent.

20 DR. ZACHAROFF: Right.

21 DR. AIGNER: Now, the blue numbers in graphs
22 of 30 minutes, so if you now take the most

1 releasing agent, solvent H at 30 minutes, you get
2 to a little more than 22 percent, which that's how
3 RoxyBond is formulated, a very significant
4 reduction in release if not exposed to the GI
5 environment versus Roxicodone, very easily, quickly
6 abusable, and available to be abused in any route
7 of administration.

8 DR. ZACHAROFF: Right, but in its intact
9 form compared to its self-manipulated, it seemed to
10 release more oxycodone in solvent H?

11 DR. AIGNER: You're correct. I would turn
12 it around. We formulated it so if you manipulate
13 it, you do not get an increase, and that's what you
14 see.

15 DR. ZACHAROFF: Okay. Thank you.

16 Second question is for Dr. Gudín with
17 respect to the clinical utility of abuse-deterrent
18 single-entity formulation of oxycodone. I guess my
19 question would be who do you think would be an
20 appropriate candidate for an abuse-deterrent
21 formulation of this medication?

22 Would you consider it to be all patients for

1 whom immediate-release oxycodone is considered, or
2 would it be a situation where maybe the indications
3 would not only be for whom patients have moderate
4 to severe pain where an opioid analgesic is
5 required, but maybe who are at an increased risk of
6 aberrant drug-related behavior?

7 DR. GUDIN: Thank you, Dr. Zacharoff, for
8 your question. I think currently that's a question
9 that the whole medical community of prescribers is
10 considering right now, where do abuse-deterrent
11 formulations best fit into the treatment landscape
12 of opioids?

13 Currently, I have some colleagues who are
14 selecting them in the extended-release formulations
15 only for the patients at risk or with high-risk
16 factors for substance abuse.

17 Looking at the larger public health picture,
18 as you heard a little bit this morning, knowing
19 that the end user is often not the patient sitting
20 in front of us, I think the greater public health
21 initiative and where I think our community is
22 moving is to having all products with some

1 abuse-deterrent technology.

2 So the way that I look at ADFs is that they
3 should be prescribed to any patient who gets an
4 opioid if you want to consider the larger public
5 health benefit of avoiding misuse and abuse outside
6 of the patient sitting in front of you.

7 DR. BROWN: Dr. Galinkin?

8 DR. GALINKIN: Jeff Galinkin. So my first
9 question is a follow-up to Dr. Emala's question,
10 and are all the excipients in this generally
11 regarded as safe in that category?

12 DR. AIGNER: They qualify through the
13 inactive ingredient database, or they're in a
14 currently approved product.

15 DR. GALINKIN: My second question really is
16 a follow-up to that last question. It seems to me
17 that the effectiveness of these drugs will
18 eventually be based on market penetration, and how
19 will both the FDA and your company look at this in
20 postmarketing surveillance in terms of the
21 effectiveness of ADF products?

22 Do you guys have any plans on how to look at

1 that and how it's affecting abuse, since it's not
2 naive enough to think that suddenly we're going to
3 replace all of the oxycodone out there with an
4 abuse-deterrent formulation?

5 DR. AIGNER: We're definitely thinking about
6 phase 4 right now. It's an evolving field, and
7 certainly, gathering all the information from the
8 existing surveillance data by age group, by
9 geography, by route of administration, to design
10 going forward formal studies, we're looking forward
11 to interact with FDA and other experts to design
12 those.

13 But you're correct. Highlighting some of
14 the issues, how much utilization do you have? Are
15 you looking at OxyContin being switched over?
16 That's certainly something we have to figure out
17 over time and how to design those phase 4 studies.

18 DR. BROWN: Dr. Staffa?

19 DR. STAFFA: Judy Staffa. As you saw in one
20 of the sponsor's slides, all of the products that
21 are approved with abuse-deterrent properties in the
22 label have postmarketing required studies. What we

1 started to do and what was reflected in that slide
2 is more of a two-phase approach, recognizing the
3 challenges with market penetration, that if a drug
4 is not being picked up and prescribed, it's going
5 to be very difficult to have the statistical power
6 to actually look at it.

7 So we have a two-phase where we asked
8 sponsors to begin looking and monitoring the
9 utilization, monitoring the anecdotal data with
10 regard to abuse, and then we make a mutual decision
11 when we get to the part where we believe there's
12 enough penetration to actually support a formal
13 study, and then we move into that phase.

14 So I would assume that would be what would
15 be planned with this product as well.

16 DR. BROWN: We will now take a 15-minute
17 break, and panel members, please remember that
18 there should be no discussion of the meeting topic
19 during the break amongst yourselves or with any
20 member of the audience. We will resume our
21 discussions at 11:15. We will get to the remainder
22 of our questions after the FDA presentation.

1 (Whereupon, at 11:01 a.m., a recess was
2 taken.)

3 DR. BROWN: If we can take our seats and
4 continue. We'll now proceed with the FDA
5 presentations.

6 **FDA Presentation - Tracy Minh Pham**

7 DR. PHAM: Good morning. My name is Tracy
8 Pham. I'm a drug utilization analyst from the
9 Division of Epidemiology, Office of Surveillance
10 and Epidemiology. I will present the outpatient
11 retail utilization of oxycodone-containing
12 analgesics to provide context for today's
13 discussion.

14 The outline of my presentation is as
15 follows. I will present the outpatient retail
16 utilization patterns of oxycodone-containing
17 analgesics followed by the data limitations and a
18 summary of my presentation.

19 Our analyses include all
20 oxycodone-containing IR and ER products to put into
21 context of the use trends of single-ingredient
22 oxycodone IR products compared to other

1 oxycodone-containing products.

2 For the purposes of today's presentation, we
3 focused on the outpatient retail setting, which is
4 the primary setting of care where
5 oxycodone-containing analgesics were used. To
6 conduct these analyses, we used multiple databases
7 with different features. I will briefly describe
8 each database before presenting the results of each
9 analysis.

10 We first start with the prescription
11 utilization data. We obtain the prescription
12 utilization data from the Quintiles IMS National
13 Prescription Audit database, which measures the
14 dispensing of prescriptions from outpatient retail
15 pharmacies to patients. The prescription data are
16 protected to provide national estimates of drug
17 utilization.

18 Throughout the study time period,
19 combination- and single-ingredient oxycodone-
20 containing IR products accounted for the majority
21 of total prescriptions. As shown by the red line,
22 prescriptions dispensed for single-ingredient

1 oxycodone IR products more than doubled from
2 7.1 million prescriptions in 2009 to 17.8 million
3 prescriptions in 2016.

4 Prescriptions dispensed for combination
5 oxycodone-containing IR products, as shown by the
6 green line, remain relatively steady.

7 Prescriptions for single-ingredient oxycodone ER
8 decreased by 45 percent from 7.3 million
9 prescriptions in 2009 to 4 million prescriptions in
10 2016.

11 We now move on to the patient data. We used
12 Quintiles IMS Total Patient Tracker database to
13 obtain the national estimates of patients who were
14 dispensed oxycodone prescriptions from U.S.
15 outpatient retail pharmacies.

16 Overall trends in the patient data were
17 similar to the trends observed in the prescription
18 data. The number of patients who were dispensed
19 single-ingredient oxycodone IR products also
20 doubled from 2.4 million patients in 2009 to
21 5.9 million patients in 2016.

22 We now move on to the prescriber specialty

1 data for single-ingredient oxycodone IR products.
2 Based on dispensed prescription data in 2016,
3 primary care physicians were the top prescribers
4 for single-ingredient oxycodone IR products at
5 36 percent of dispensed prescriptions, followed by
6 midlevel practitioners at 24 percent, and
7 anesthesiologists at 7 percent.

8 Now we will transition to the analysis of
9 diagnoses associated with the use of
10 single-ingredient oxycodone IR products. To
11 determine this, we used inVentiv's Health Treatment
12 Answers database, which was derived from monthly
13 surveys of 3200 U.S. office-based physicians who
14 reported all patient activity during one typical
15 workday each month. These data are nationally
16 projected by physician specialty and region and are
17 based on the number of office visits where drugs
18 are mentioned, therefore providing insight into
19 prescriber intent.

20 In 2016, the top group of diagnoses
21 associated with the mentions of single-ingredient
22 oxycodone IR products were conditions related to

1 the diseases of the musculoskeletal system and
2 connective tissue such as back pain. The diseases
3 of the nervous system followed with diagnoses such
4 as unspecified chronic pain. Neoplasms accounted
5 for 6 percent of the drug use mentioned during the
6 examined time.

7 I will now go over the limitations of the
8 databases used to conduct these analyses. There is
9 no linkage between a dispensed prescription and a
10 diagnosis, and no medical charts are available for
11 data validation. The outpatient retail dispensing
12 trends might not apply to mail order, specialty, or
13 nonretail settings such as inpatient and clinic
14 settings.

15 The diagnosis data are obtained from surveys
16 that capture the number of times a product has been
17 reported during a patient visit to an office-based
18 physician and may underestimate or not capture
19 prescribing patterns of physicians who practice in
20 other settings such as hospice care, pain, or
21 cancer clinics located in the hospitals or oncology
22 clinics.

1 DR. PHAM: Yes.

2 DR. ZACHAROFF: Okay. Thank you.

3 DR. BROWN: Dr. Morrato?

4 DR. MORRATO: Elaine Morrato. So the FDA
5 won't be presenting the drug-liking studies and the
6 in vitro, so are we to assume then that the FDA is
7 okay with the presentation that we received
8 already?

9 DR. HERTZ: Shaking your head doesn't make
10 it into the record.

11 (Laughter.)

12 DR. HERTZ: Sorry. Yes, we do not have any
13 disagreements with the interpretation of the data.

14 DR. MORRATO: Thank you.

15 DR. BROWN: Dr. McCann?

16 DR. McCANN: Thank you. Mary Ellen McCann.
17 On I guess the fourth-to-the-last slide, I don't
18 see a number for it, on the diagnosis data, I just
19 want to make sure.

20 When you have injury at 7 percent and then
21 you have diseases of the musculoskeletal system and
22 connective tissue at 47 percent, are they exclusive

1 or not, meaning a lot of times you injure your
2 back. Which group would that be included in?

3 DR. PHAM: They were grouped separately.
4 They were not --

5 DR. McCANN: So the injuries were non-
6 -musculoskeletal injuries?

7 DR. PHAM: Yes.

8 DR. McCANN: Thank you.

9 DR. BROWN: Dr. Choudhry?

10 DR. CHOUDHRY: I've got a brief question,
11 which is partly speculative, and I'm wondering if
12 you might offer or someone else at the FDA. So if
13 we look at the trends, there's clearly a trend
14 upwards in IR prescribing, and I think that's quite
15 clear, so, for example, slide 8 in your deck.

16 I'm wondering if we had to imagine what the
17 impact of more recent guidance, either CDC or state
18 level prescribing restrictions, might have on IR
19 relative to ER use.

20 DR. HERTZ: Sorry. This is Sharon Hertz.
21 I'm apologizing already because that's a discussion
22 item, and these are clarifying questions. Our

1 rules say that discussion should not occur until
2 we've heard from everyone, including the open
3 public hearing.

4 DR. BROWN: Dr. Kibbe?

5 DR. KIBBE: I had a small clarification. On
6 the end, the previous speaker said that the
7 excipients used in the product were generally
8 regarded as safe, but the generally-regarded-as-
9 safe list, or the FDA list of excipients, lists
10 them with a route of administration. Okay?

11 The polymers we use in oral
12 preparations -- and I was the editor-in-chief of
13 the Handbook of Pharmaceutical Excipients, so I can
14 speak with some expertise -- come from or derived
15 from in one case from cellulose. And we are not
16 termites. We cannot digest cellulose. So it goes
17 through the GI tract.

18 The others are the polymethyl methacrylates,
19 which are all artificial, and they cannot be
20 digested either. So they go through the GI tract,
21 and they're never ingested, so they don't have to
22 be excreted because they're egested. But if you

1 put them in intravenously, they're not going to go
2 anywhere, and they'll probably accumulate in
3 capillary beds.

4 I have a real feeling that if there is
5 sufficient number of polymers being injected by
6 drug abusers, they're going to have early kidney
7 failures, but we have no definitive toxicity data
8 on -- so I've advocated that there should be a
9 black box in these products that warns the
10 physician to warn the patient that if anybody uses
11 these other than they're intended, that they can do
12 serious harm to their cardiovascular system and
13 their renal system.

14 DR. HERTZ: Okay. So that's also
15 discussion.

16 (Laughter.)

17 DR. HERTZ: I know it's unusual for us not
18 to present separately our interpretation of the
19 results. I don't know if we want to break early or
20 what, but if there's no more actual clarifying
21 questions for the presentation that we just gave or
22 from this morning --

1 DR. BROWN: We actually do have some
2 clarifying questions from this morning, if we could
3 just move -- Mr. O'Brien, did you have a clarifying
4 question for the FDA?

5 MR. O'BRIEN: I think it's a clarifying
6 question. Regarding slide 11 and the diagnosis
7 data, we're able to identify the largest population
8 of patients that are using single-entity IR. Do we
9 have any data to suggest of that group what is the
10 potential or the prevalence of abuse within that
11 group?

12 DR. STAFFA: This is Judy Staffa. I can try
13 to tackle that. These data are simply
14 about -- they're office-based practice, so they
15 focus on prescribing. And they're talking about a
16 mention of a drug during an office-based visit, and
17 then the diagnosis of that patient that the
18 physician was seeing during that visit. So it's
19 not longitudinal; it's a snapshot in time.

20 The answer is no, but part of what we've
21 asked the manufacturers of extended-release and
22 long-acting opioids to do is to look and assemble

1 cohorts of patients who are prescribed those drugs,
2 and to follow those patients over time, and to
3 better understand the experience of a patient who
4 is prescribed an opioid and what happens to them
5 with regard to abuse, misuse, addiction, overdose,
6 and death.

7 So we're hoping to have that data in the
8 future, but right now we don't have any such data.

9 MR. O'BRIEN: I asked because I am one of
10 those patients, and that's the patient community
11 that I represent. So I'm very interested to see
12 because our experience is we don't snort and we
13 don't do intravenous. So I'm very curious to see
14 what the data is regarding that large population
15 group.

16 DR. BROWN: We're going to move ahead or
17 move actually back to this morning.

18 DR. GALINKIN: I have a clarifying question.

19 DR. BROWN: Okay.

20 DR. GALINKIN: In terms of the single-use
21 products, one of the reasons that a lot of the
22 single-use products get prescribed -- is in kids

1 because we've started to divide those things up
2 because of several publications in the AP Journal.

3 Do you have this broken down into under 18
4 and over 18?

5 DR. BROWN: You said single-use, but it's
6 single entity?

7 DR. GALINKIN: Yes, single entity. I'm
8 sorry. Single-entity.

9 DR. PHAM: Tracy Pham, FDA. We did not do
10 that analysis, but for the future, we can take a
11 look into that and stratify the data by age.

12 DR. HERTZ: This is Sharon Hertz. Usually
13 the number of pediatric prescriptions is dwarfed by
14 the number of adult, though, so if that helps.

15 DR. BROWN: Dr. Morrato, you had a question
16 for the sponsor?

17 DR. MORRATO: Yes, from this morning, so
18 thank you.

19 I'm wondering if -- it builds off of what
20 Dr. Choudhry was saying about the papers, and I
21 know Dr. Staffa talked as well. I was thinking of
22 it in another way and thinking of really where it's

1 a subjective reason we're here or relative, and
2 it's evolving as to what constitutes a dedicated
3 user, what makes it more challenging, what
4 magnitude of reduction in liking is meaningful,
5 et cetera.

6 I was wondering if the sponsor could maybe
7 explain or share a little bit what goes into the
8 design of these liking studies and how they're
9 powered in terms of what is commonly used as a
10 clinically meaningful difference, not just looking
11 at the p-value, which can be influenced by the
12 number in the sample.

13 DR. AIGNER: Could I ask Dr. Webster to
14 comment?

15 DR. WEBSTER: So there's a little history
16 behind these. As you know, or probably know, they
17 were originally designed just for basically
18 scheduling, to schedule a drug, and to look at the
19 abuse potential relative to schedule I, II, III,
20 et cetera.

21 Over time, we've gotten to where we are, and
22 along that course, the number of subjects who have

1 been enrolled have increased because we're trying
2 to understand more. And often there are more arms
3 to a study, which obviously means that we are doing
4 a lot more comparisons, and then the statistics
5 require that we have larger populations.

6 Now, we don't often power necessarily for
7 these studies, although there are sometimes
8 situations when we will be looking at a difference
9 of something that we want to know depending on what
10 that endpoint is. And it may not be the primary
11 endpoint because, as we just talked about, we don't
12 know what difference is clinically meaningful in
13 the real world. We're kind of trying to creep up
14 to acquiring that knowledge.

15 So the size of these groups used to be in
16 the 20s, sometimes in the teens, some 15, 20 years
17 ago, but now most completers are in the mid-30s to
18 low 40s. Sometimes if we are using two controls,
19 two active controls and we've got 3 or 4 arms, at
20 least three of the test drug, we may have to get
21 into the 60s, and particularly if we want to power.

22 So if we want to power take drug again, for

1 example, which is in a totally different ballgame,
2 requiring far more subjects in order for us
3 to -- depending upon what that power is set as.

4 There isn't an answer to your question.
5 This is a discussion, and it is an evolving
6 discussion where we're trying to get as much
7 information that really does apply to the real
8 world.

9 Is that helpful or not?

10 DR. MORRATO: A little. I'll stick with
11 clarifying. So for these particular studies we're
12 looking at, it wasn't a prospective power. It was
13 more of a historical we tend to have this many in
14 an arm.

15 DR. WEBSTER: Correct.

16 DR. MORRATO: Is that correct?

17 DR. WEBSTER: Yes.

18 DR. MORRATO: And it may be obvious to
19 others, but I'm less familiar. Is the crossover
20 design the way this was done here common as well?

21 DR. WEBSTER: Yes. I'd say 90 percent of
22 the time. Unless you have a really complicated

1 study in a large -- there may be some pharmacologic
2 reasons. It may be a population size. We've
3 looked at a couple of modified crossover designs,
4 but otherwise, they're all crossover.

5 DR. MORRATO: Okay. I think it's nice that
6 in the FDA's briefing materials they're starting to
7 provide that historical, if you start to look
8 across all of these drugs that are going through,
9 some sense of what is the general magnitude. So I
10 just wasn't sure of the variance in study design.

11 DR. WEBSTER: You could imagine a parallel
12 design would be in the hundreds, and that's cost
13 prohibitive.

14 DR. MORRATO: Right. Thank you.

15 DR. BROWN: Dr. Amidon?

16 DR. AMIDON: Yes, a question from earlier
17 this morning. This is Greg Amidon. In one of your
18 early slides, you mentioned, slide 4 I guess, that
19 RoxyBond uses your SentryBond technology and
20 pointed out that there's an FDA approved, although
21 I understand not on the market yet. MorphaBond ER
22 uses that technology.

1 I'm wondering if you can provide us any
2 perspective on maybe similarities or differences
3 between these and how they might relate to abuse
4 deterrence by nasal and IV route that might be
5 helpful or insightful.

6 DR. AIGNER: Since we're in the open session
7 now, if you go back mentally to the closed session,
8 many of the components -- actually, all the
9 components are identical. The major difference is
10 for MorphaBond, we had to match the release profile
11 for a long-acting morphine product, not for an
12 immediate-release oxycodone product.

13 As we tried to highlight before, for making
14 an abuse-deterrent product for an extended release,
15 you can, A, lock in that maintained release,
16 sustained release, and if you do the particle-size
17 reduction, you grind it up, whatever you do, you
18 don't get a dose dump and get all the opioid
19 available immediately, and of course it can make
20 the tablet harder and hard to manipulate.

21 For MorphaBond, the application was to
22 maintain the release, a sustained release. For

1 RoxyBond, we created something novel because it had
2 to be an oxycodone immediate-release product where
3 we actually decreased, made it slower, made it
4 lower, the release if you do not take it as
5 intended.

6 So it's all about what the rate of release
7 is for the active and what route of administration.
8 Does that make sense?

9 DR. BROWN: Dr. Shoben?

10 DR. SHO BEN: I just had a quick question
11 about your clinical PK data showing the
12 bioequivalence, and you said you started with 75
13 subjects and ended up with 58 completers.

14 Can you talk about that drop-out rate? That
15 seems fairly high in a should be very short trial.

16 DR. AIGNER: On that one, I will have to get
17 back to you after the break, if that's okay.

18 DR. SHO BEN: Yes.

19 DR. AIGNER: Okay, good.

20 DR. BROWN: Dr. Kibbe?

21 DR. KIBBE: I gave my speech already. It
22 would be nice to look at the slide that was in our

1 background material on the bioequivalency because
2 it wasn't presented.

3 DR. AIGNER: We can pull that up.

4 DR. KIBBE: Because I think it shows a
5 relatively tight confidence interval for Cmax and
6 AUC, and all to the left, right? It's a little bit
7 lower than 100 percent in all cases, right?

8 DR. AIGNER: As you're familiar, you should
9 stay in the 80 to 100 --

10 DR. KIBBE: Yes, I understand that it's an
11 acceptable bioequivalency test. I'm just saying
12 that's what it looked like, and it looked like your
13 product was a little bit slower but not clinically,
14 significantly slower.

15 DR. AIGNER: Yes, we agree with FDA that
16 it's not clinically significant.

17 DR. BROWN: Dr. Bateman?

18 DR. BATEMAN: This question is for
19 Dr. Webster. It pertains to slide 52. Dr. Walsh
20 brought up this issue earlier. I'm still
21 struggling to understand why the means of snorting
22 scores are so much higher for the RoxyBond.

1 In the category 1 studies, the manipulation
2 of the medication with tool G resulted in
3 92 percent of the drug having a particle size of
4 less than 2000 microns, so very fine powder. You
5 think it would be very easy to snort.

6 I'm just wondering, were the same conditions
7 for physical manipulation used, and was there any
8 quality control to make sure that you attained the
9 same fine powder in the human abuse potential
10 study?

11 DR. WEBSTER: No. I think we did what we
12 could to make it as comparable as possible, but we
13 used different tools. If you remember, it was -- I
14 get confused on these tools, but they were
15 different tools. You can take a look at
16 your -- one, we had a simple way to crush
17 Roxicodone, and we used a different device to
18 manipulate RoxyBond. But they ended up having
19 visually the same appearance, but they weren't the
20 same. The RoxyBond had little particulates that
21 were unable to be made to the same size that we had
22 with Roxicodone.

1 Now, under 2000 is not -- I mean, that's
2 still pretty large for snorters. 2000 particle
3 size is a pretty large piece. We know that in
4 order to insufflate, you have to be below 500. You
5 really need to get it down very, very small for it
6 to cross the mucosal membrane. And there may well
7 have been some that's above 500 with the RoxyBond.
8 I don't know.

9 DR. BATEMAN: But the tool was tool G, which
10 is the same as what was used in category 1, right,
11 for the manipulation of RoxyBond?

12 DR. WEBSTER: Yes, yes.

13 DR. BATEMAN: I guess just along the same
14 lines, is the way that the subjects rate ease of
15 snorting related to the effects that they observed?
16 So if they don't get the high that they expect,
17 could that influence the way in which they evaluate
18 ease of snorting. And I guess the way of getting
19 at that would be looking at the ease of snorting
20 associated with the placebo.

21 DR. WEBSTER: It's not intended, and we do a
22 lot of education for these studies. For all of the

1 assessments, we routinely put them through an
2 education about what we're assessing and what we're
3 not assessing. So I can't tell you that a subject
4 might not crosstalk with their impression, but
5 that's not the intent, and we do everything we can
6 to separate their impression of what we're asking.

7 DR. BROWN: Dr. Schmid?

8 DR. SCHMID: I just want to ask a question
9 about the sample sizes for the intranasal study.
10 They weren't on any of your slides here, but one of
11 the documents that we got, I think table 11, it
12 describes the adverse events. The sample size
13 listed there are 30, 30, 31, and 29 for the 4
14 crossover groups.

15 I'm wondering, was 31 the number that were
16 enrolled in the study, and what did you do about
17 any dropouts or missing data? Because there
18 obviously was a little bit here since these aren't
19 exactly equal.

20 DR. AIGNER: Dr. Webster?

21 DR. WEBSTER: I did not hear the question.
22 I apologize.

1 DR. SCHMID: What I'm just trying to find
2 out is was the number 31 that was actually
3 initially enrolled in the study?

4 DR. WEBSTER: Yes.

5 DR. SCHMID: Okay. So what we're seeing
6 here is that there's 1 individual in 2 groups and 2
7 individuals in another group that didn't complete
8 the crossover; is that correct?

9 DR. WEBSTER: That's correct.

10 DR. SCHMID: And so presumably, that didn't
11 have any effect on the final results.

12 DR. WEBSTER: That's my understanding.
13 That's correct, yes

14 DR. AIGNER: I think 2 subjects discontinued
15 early and never completed all forms, and not
16 including those or including them made no
17 difference to the ITT statistical analysis.

18 DR. BROWN: Are there any other clarifying
19 questions for -- Mr. O'Brien?

20 MR. O'BRIEN: For Dr. Webster, just a
21 clarifying question. In the cohort, the population
22 that's used, which is recreational, nondependent

1 users, forgive me if this is a simple question, but
2 how do you screen for that, and how do you
3 determine nondependent recreational users?

4 DR. WEBSTER: We ask how often they take an
5 opioid. Actually, we ask how often they take any
6 illegal substance or any medication, but they're
7 required to have taken a minimum amount in the last
8 year and within the last 3 months.

9 Once they're brought in, prior to a
10 discrimination phase where we give them an active
11 drug to determine whether or not they can actually
12 detect liking, we give them naloxone. So naloxone
13 is given to assess whether any of them go through
14 withdrawal. So they won't be physically dependent.
15 If they have any withdrawal, then they're not
16 allowed to proceed.

17 DR. BROWN: Dr. Zacharoff?

18 DR. ZACHAROFF: This is for Dr. Webster, and
19 this is off of what Dr. Bateman was asking with
20 respect to the 2000 micron size. On slide CO-29,
21 it talks about Roxicodone being easily manipulated
22 with tool E, and it sort of implies the idea that

1 less than 2000 microns is an easily reduced fine
2 powder that could be snorted and prepared for IV
3 abuse.

4 I hear what you're saying about the less
5 than 500 micron size, but it seems to me that the
6 reason that no other tools for the Roxicodone were
7 evaluated is because 100 percent of particles were
8 reduced to less than 2000 microns. And that means
9 it's a fine powder that could easily be snorted or
10 prepared for IV abuse.

11 So it doesn't seem necessarily a direct line
12 then that that wouldn't apply then to slide CO-30
13 where 92 percent of RoxyBond was reduced to less
14 than 2000. Could you clarify that for me?

15 DR. AIGNER: Can I take that, Dr. Webster,
16 help you out on this one?

17 DR. WEBSTER: Sure.

18 DR. AIGNER: We have our slide here. As we
19 thought about best summarizing it, we just used
20 that cutoff of 2000 microns just to make it -- of
21 course, we want to have the smallest percentage of
22 very large particles, but in reality, we, of

1 course, studied the particle size across different
2 segments. You see about 425 microns, 150, above
3 153, below 53.

4 Here we really studied tool G, consistently
5 had the best provision of small particles, and that
6 description, less than 2000, is actually not
7 perfect. But as you look at particle-size
8 reduction, you see why we picked tool G for a
9 snorting study because it gives you the best
10 distribution for small particles.

11 DR. BROWN: Any other clarifying questions
12 for the FDA or for the sponsor? Yes?

13 DR. BATEMAN: So I guess given those data,
14 when we are looking at the drug-liking curves, if
15 only particles that are less than 500 are going to
16 be absorbed nasally, if abusers were able to
17 further manipulate the drug to obtain a finer
18 particle size, the curves may look very different.

19 I think we've been saying that the lower
20 time to Cmax and all of that is related to the
21 binding, but could it be just that not enough of
22 the drug is manipulated into a powder that can be

1 nasally absorbed?

2 DR. AIGNER: Actually, we did use tool G for
3 2 minutes, but we also studied up to 10 minutes.
4 So we have data that as you increase the time in
5 tool G up to 10 minutes, you really see after
6 2 minutes, the particles don't get any smaller.

7 The other interesting piece about
8 RoxyBond -- and we believe that is
9 unique -- particle-size reduction really does not
10 accelerate release, and RoxyBond's particles are
11 designed not to release in the nasal cavity. It is
12 really coming out quickly in the GI environment,
13 and the nasal cavity is very, very different.

14 So we weren't surprised by the results of a
15 drastic reduction compared to Roxicodone because
16 Roxicodone comes out in any solvent 100 percent in
17 1 minute. So that is very consistent just with the
18 way RoxyBond is formulated and with all the
19 in vitro experiments we have shown.

20 DR. BROWN: Dr. Walsh?

21 DR. WALSH: Thank you. Sharon Walsh. I'm
22 still a little bit perplexed by all of this because

1 the data that you showed, then, shows that actually
2 the majority is even smaller than the threshold
3 that was described.

4 The only PK data that we saw were the mean
5 data. I'm wondering whether or not inspection of
6 the individual PK curves showed any evidence of
7 some later delivery.

8 So if not all of the reduction in exposure
9 is due to the technology and because the particle
10 size, some of it's getting into the GI tract, you
11 would expect then that that would have good release
12 properties because of the way that the deterrent
13 technology is designed.

14 Was there any evidence of later absorption
15 that would be more correspondent to oral absorption
16 for individual subjects?

17 DR. AIGNER: This might actually be a great
18 opportunity to revisit something we heard in the
19 break, a quick discussion where they really
20 explained it well, the reason why subjects snort
21 Roxicodone, not just take it orally. Maybe we'll
22 have a go with that again real quick.

1 Dr. Webster, is that something -- because we
2 want to make sure we create something which is
3 abuse-deterrent for the route the abusers today
4 abuse Roxicodone. And they have a very good reason
5 why they snort Roxicodone over taking it orally.
6 You could always take Roxicodone or RoxyBond intact
7 orally. That's something that we can address, as
8 the oral route.

9 But they have a very good reason why they
10 snort it, and that is what we want to take away
11 from the abuser in making RoxyBond abuse-deterrent
12 and making that route not viable.

13 DR. WALSH: Thank you. I agree, and I
14 understand what that is. And I'm happy to have
15 Dr. Webster address that. But what I'm actually
16 trying to understand is how the product is
17 performing.

18 DR. AIGNER: You want to find the reasons?
19 Let us actually think over the break. I believe I
20 understand what you're saying, and it's a very good
21 question.

22 DR. WALSH: And, Lynn, if you want to

1 respond to whatever --

2 DR. AIGNER: I believe it might be
3 worthwhile clarifying that.

4 DR. WEBSTER: Yes. I don't know that we
5 have the data, and we can look after the break to
6 see if there is something that would suggest that
7 there is a second phase of absorption, which is I
8 think what you're asking, right?

9 I don't know, and I think that that is a
10 probable explanation, that some of it is swallowed,
11 and it's probably absorbed. But if so, that's the
12 purpose. If that's what happens, then obviously,
13 that's the intention, so that it's not going across
14 the mucosal membrane and that they have a high from
15 it nasally. But let's see if we can find some of
16 the data for you after the break.

17 DR. BROWN: We're now going to break for
18 lunch. We will reconvene again this room at 1:00,
19 and please take any personal belongings you may
20 want with you at this time.

21 Committee members, please remember that
22 there should be no discussion of the meeting during

1 lunch amongst ourselves, with the press, or with
2 any member of the audience. Thank you.

3 (Whereupon, at 11:53 a.m., a lunch recess
4 was taken.)

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

A F T E R N O O N S E S S I O N

(1:00 p.m.)

Open Public Hearing

DR. BROWN: We're going to start with the open public hearing portion of the committee meeting.

Both the Food and Drug Administration and the public believe in a transparent process for information-gathering and decision-making. To ensure such transparency at the open public hearing session of the advisory committee meeting, the FDA believes that it is important to understand the context of an individual's presentation.

For this reason, FDA encourages you, the open public hearing speaker, at the beginning of your written or oral statement to advise the committee of any financial relationship that you may have with the sponsor, its product, and if known, its direct competitors. For example, this financial information may include the sponsor's payment for your travel, lodging, or other expenses in connection with your attendance at this meeting.

1 Likewise, the FDA encourages you at the
2 beginning of your statement to advise the committee
3 if you do not have any such financial
4 relationships. If you choose not to address this
5 issue of financial relationships at the beginning
6 of your statement, it will not preclude you from
7 speaking.

8 The FDA and this committee place great
9 importance in the open public hearing process. The
10 insights and comments provided can help the agency
11 and this committee in their consideration of the
12 issues before them.

13 That said, in many instances and for many
14 topics, there will be a variety of opinions. One
15 of our goals today is for this open public hearing
16 to be conducted in a fair and open way where every
17 participant is listened to carefully and treated
18 with dignity, courtesy, and fairness. Therefore,
19 please speak only when recognized by the
20 chairperson. Thank you for your cooperation.

21 Will speaker number 1 step up to the podium
22 and introduce yourself?

1 MR. MANDALE: Good afternoon. Michael
2 Mandale. By way of disclosure, my travel-related
3 expenses are being paid today.

4 As I said, good afternoon. My name is
5 Michael Mandale. I'm not in recovery. Rather, I'm
6 here to tell you the story of a few hundred people
7 who are. I encounter these people through my work
8 in the southern portion of New Jersey, just outside
9 of the Philadelphia metro area.

10 I am the chief executive officer of Solstice
11 Counseling and Wellness Centers, an agency
12 specializing in intensive outpatient substance
13 abuse treatment through two facilities licensed by
14 the State of New Jersey. To that end, we provide
15 addiction treatment services with the understanding
16 that addiction is a disease that affects the entire
17 person, their body, mind, and spirit.

18 Without discrimination, heroin has spread
19 its death-inducing arms and put a stranglehold on
20 the populations of the Eastern Seaboard. Since
21 December of 2013, I have personally witnessed
22 superstar athletes, promising young engineers,

1 nurses, retired grandfathers, loving mothers, and
2 adoring sons and daughters lose years of their
3 lives or die because of this drug.

4 It kills regardless of class, race, age, or
5 gender. It kills in our cities and our suburbs,
6 but the one thing most heroin addictions have in
7 common is that they start in the same place, with
8 the first taste of an opioid prescription pill.

9 From there, an addict's progression down the
10 road of use is clearly marked. It is an
11 unfortunately short and simple trip. One pill
12 turns into a few on the weekends to daily use of
13 hundreds of milligrams, then a visit to the
14 open-air drug markets of Camden, New Jersey or the
15 Kensington section of Philadelphia for the cheaper
16 alternative, heroin. And all too often, the wrong
17 of addiction ends at the morgue.

18 The nonmedical use of prescription opioids
19 has skyrocketed in the United States, and so has
20 the number of deaths associated with it. In New
21 Jersey alone in 2015, 1,587 people died from drug
22 overdoses. This is a 21 percent increase from the

1 year before. Heroin was to blame in nearly 1,000
2 of those 1,587 deaths, the highest level since
3 accurate records have been kept in the Garden
4 State. We expect the 2016 numbers to be even
5 worse.

6 Perhaps the most telling number I can give
7 you is from the American Medical Association. In
8 July of 2014, the AMA surveyed addiction treatment
9 seeking patients. Of them, 85 percent responded.
10 75.2 percent of those who responded said they were
11 introduced to opioids through prescription drugs.

12 At my agency, I took a formal anonymous poll
13 with two questions. One, was your drug of choice
14 heroin? And two, if yes, do you attribute your use
15 of heroin to use of opioid pharmaceutical drugs?
16 Of the 180 clients who responded, 62 said their
17 drug of choice was heroin. Of the 62 people whose
18 drug of choice was heroin, 96 percent of them said
19 one opioid pill led to their heroin addiction.

20 Ninety-six percent of our clients who abused
21 heroin attributed their use to opioid prescription
22 drugs, 96 percent. Not marijuana, not alcohol, not

1 cocaine, not amphetamines, not LSD, not
2 barbiturates, not benzodiazepines; rather
3 96 percent of our clients who abused heroin
4 attribute their use to one pharmaceutical opioid
5 pill.

6 I think it's important to recognize, as did
7 President Barack Obama in remarks made in 2015,
8 that today we are seeing more people killed because
9 of opioid overdoses than traffic accidents.

10 Think about that. A lot of people
11 tragically die in car accidents, and the government
12 spends a lot of time and resources to reduce those
13 fatalities, and they've actually done a good job at
14 that. Traffic fatalities are much lower today than
15 they were 50 years ago because the government
16 systematically looked at the data and looked at the
17 science and developed strategies to reduce such
18 incidents.

19 The problem with the opioid epidemic is that
20 the trajectory is heading in the opposite
21 direction. Although federal, state, and other
22 vested interests are implementing a variety of

1 programs aimed at curbing inappropriate
2 prescribing, it is the drugs that are being
3 prescribed in the first place that are the root of
4 the problem.

5 The marketplace is full of opioids that do
6 not incorporate abuse-deterrent technologies. If a
7 consumer was prescribed an abuse-deterrent opioid
8 at the onset of their introduction to such
9 medication, the likelihood of future abuse of
10 opioids would diminish greatly. As with traffic
11 fatalities, over time, we may be able to slow down
12 the progression of heroin abuse, reverse its
13 course, and eventually stop it altogether. Thank
14 you.

15 DR. BROWN: Will speaker number 2 step up to
16 the podium and introduce yourself? Please state
17 your name and any organization you are representing
18 for the record.

19 MR. COHEN: Thank you, Mr. Chairman. My
20 name is Dan Cohen. I'm the chairman of the Abuse
21 Deterrent Coalition; an officer of KemPharm, a
22 biopharmaceutical company developing prodrug

1 therapies for CNS, ADHD, and pain; and a former
2 consultant for Grunenthal USA and Purdue Pharma. I
3 have no financial relationship to the sponsor.

4 The Abuse Deterrent Coalition was formed as
5 a talk forum comprised of ADF innovators, patient
6 and issue advocates, and research groups to educate
7 the public, policymakers, and the FDA on the
8 importance of developing and expanding ADF
9 technologies.

10 In the primary question before you today, is
11 it reasonable to approve RoxyBond ADF formulation
12 as safe, effective, and as discouraging of
13 intranasal and intravenous abuse? As you prepare
14 to answer this question, it is important that we
15 are using appropriate and similar terms for this
16 discussion. Failing to agree or having unrealistic
17 expectations will yield a faulty decision and not
18 appropriately address the question.

19 The terms in question are "abuse deterrence"
20 and "who is the customer or the target of ADF?"
21 What is not under consideration today is RoxyBond
22 as an abuse-prevention formulation or APF. There

1 is no APF. Products with ADF technology do not and
2 are not expected to prevent abuse of scheduled
3 products, only to lower through deterrence the
4 abuse potential of those products.

5 Innovators in the ADF technology space want
6 to do more, but the question to ask yourself, will
7 we adopt science that is possible today and not
8 wait for what we hope may be a technology tomorrow?
9 Technological feasibility is why intranasal and
10 intravenous abuse deterrence is a consideration,
11 and oral abuse deterrence remains as aspiration.

12 The development of abuse-deterrent
13 formulations is part of a multifactorial effort to
14 reduce the risk of abuse and diversion. Neither
15 APF nor oral ADF is technically feasible today even
16 as both aspirations remain the goal of us
17 innovators.

18 Every step we take in approving technologies
19 that are possible today make future development
20 closer to our goals of tomorrow. And no doubt we
21 achieve effective therapies for patients while
22 making abuse, misuse, and diversion of important

1 medications as difficult as possible within the
2 bounds of known science. ADF is getting more
3 effective, but we can get to future innovation by
4 failing to approve current discovery.

5 To give full meaning to this, it's also
6 important to agree on that second term. Who is the
7 customer for ADF? Most of the discussion, data,
8 and anecdotal stories that will be reviewed on ADF
9 have focused primarily on the addicted or criminal
10 abusers of drugs but little focus on the misusers.

11 Abuse-deterrent technology, ADF, is best
12 understood as a technology that reduces the risk of
13 misuse and diversion by focusing primarily on the
14 opiate naive or early stage recreational abusers.

15 Current ADF is not a technology capable of
16 effectively deterring a professional at
17 manipulation, a desperate addict, or a highly
18 experienced abuser. However, we believe ADF will
19 ultimately reduce the number of addicts and highly
20 experienced abusers by reducing abuse progression
21 at its earliest stages. Abusers that are deterred
22 from progressing or starting to ever more

1 aggressive and risky forms of abuse is the goal of
2 abuse-deterrent technology.

3 The population-adjusted rate of abuse for
4 immediate-release products is over 4 times greater
5 than that of the extended-release products. Over
6 240 million immediate-release opiate scripts were
7 issued in 2015, yet there are no abuse-deterrent IR
8 opiate products approved today.

9 Do not seek in your review to make the
10 perfect the enemy of the good. IR oxycodone is a
11 common target of abuse with relatively high rates
12 of intranasal and IV routes of abuse. The data
13 presented today demonstrates that RoxyBond offers
14 an abuse-deterrent IR oxycodone product that
15 provides similar safety and efficacy to its
16 comparator but at a reduced risk of abuse and
17 misuse. That is the pure definition of an ADF.

18 If we recount, overall, RoxyBond can be
19 expected to provide effective analgesia for
20 patients with pain severe enough to require the use
21 of an opioid analgesic and for which alternative
22 treatment options are inadequate.

1 In vitro experiments demonstrate that
2 RoxyBond's physical and chemical properties provide
3 substantial barrier to particle-size reduction
4 necessary for intranasal or IV abuse and to extract
5 oxycodone. Laboratory evaluations demonstrate that
6 RoxyBond can be expected to make abuse via
7 injection difficult, and clinical human abuse
8 potential studies demonstrate that RoxyBond
9 produces clinically relevant reductions in drug
10 liking that can be expected to reduce abuse, misuse
11 via the intranasal route.

12 In conclusion, overall, the results of
13 in vitro and clinical studies leave this panel with
14 one remaining question. If you are not convinced,
15 what more do you need to see to vote yes? To close
16 with a relevant quote, "Policymakers pressed the
17 drug makers to come up with these tamper-resistant
18 formulations as one way to combat diversion and
19 abuse. It was rightly hoped that these new
20 formulations could become one tool in combating
21 illicit diversion and abuse, and it has worked."

22 Those comments were by Dr. Scott Gottlieb,

1 commissioner designee of the FDA, who is going
2 through his confirmation hearing as we speak.
3 Thank you.

4 DR. BROWN: Will speaker number 3 step up to
5 the podium and introduce yourself? Please state
6 your name and any organization you're representing
7 for the record.

8 MR. CICHON: Thank you, Mr. Chairman, and
9 good afternoon. I'm Charlie Cichon, the executive
10 director of the National Association of Drug
11 Diversion Investigators, NADDI, and I have no
12 financial obligation.

13 NADDI is the leading drug diversion training
14 organization in the U.S. with the largest
15 networking platform of professionals involved in
16 the field of pharmaceutical drug diversion. The
17 NADDI networking platform provides the opportunity
18 to bring diverse viewpoints, education, supports,
19 and resources to the individuals facing the
20 challenges in the fight against the misuse and
21 abuse of pharmaceutical drugs.

22 Relief from pain is important to millions of

1 individuals who suffer with chronic illness, and
2 prescription drugs such as opioids have proven a
3 valuable tool in the relief process. However, the
4 potential for the abuse of prescription drugs,
5 especially opioids, presents a significant risk.
6 And as we are all well aware, the misuse and abuse
7 of opioids has reached epidemic levels in many of
8 our states.

9 Prescription drug abuse is the fastest
10 growing problem in America, one that does not
11 discriminate by region, socioeconomic status, or
12 age. The Centers for Disease Control and
13 Prevention have identified prescription drug abuse
14 as an epidemic, reporting more than 15,000 American
15 deaths each year from prescription painkillers.

16 An important step in the abuse-deterrent
17 prevention process for both new and chronic pain
18 sufferers is the development of abuse-deterrent
19 technologies for opioids.

20 NADDI is a nonprofit membership organization
21 that works to develop and implement solutions to
22 the problems of prescription drug abuse and

1 diversion. NADDI advocates for the responsible use
2 of prescription drugs by people who need them, and
3 at the same time, we work with law enforcement and
4 regulators to pursue those involved in related
5 criminal activities.

6 Our primary focus is training and education
7 for our members, which include law enforcement
8 personnel, state regulatory agents, health
9 professionals, healthcare fraud investigators, and
10 pharmaceutical companies.

11 Continuing progress in the field of pain
12 management involves the juggling act that balances
13 the needs and interests for those involved. The
14 development process involves all the stakeholders
15 in the medical treatment of pain: clinical, legal,
16 regulatory, law enforcement, and industry. NADDI
17 recognizes that no one approach to maintaining this
18 critical balance will succeed unilaterally.

19 Therefore, NADDI supports ongoing
20 interaction and cooperation among all who impact
21 the access to competent healthcare and who affect
22 diversion and abuse of medications. A scientific

1 approach was taken to reduce illegal street
2 activity. In speaking with and surveying our NADDI
3 law enforcement members at our training throughout
4 the country, it appears likely that the rates of
5 diversion decreased dramatically after the
6 introduction of reformulated opioids.

7 I'd like to draw your attention to a 2016
8 op-ed in a North Carolina newspaper from one of our
9 NADDI members. Julie Billings is Carolina's
10 chapter president and also the assistant special
11 agent in charge of the North Carolina State Bureau
12 of Investigation, diversion and environmental
13 crimes.

14 I quote, "Over the past decade, dealing with
15 skyrocketing rates of prescription drug abuse has
16 become inevitable for those of us on the frontlines
17 of law enforcement. A 2016 report identified four
18 North Carolina cities among the worst cities for
19 drug abuse in the country.

20 "Prescription drug abuse relentlessly and
21 indiscriminately targets the intersections of
22 communities we as members of the law enforcement

1 community try to protect every day. The
2 availability of abuse deterrence will help save
3 more lives and equip law enforcement in order to
4 further protect the communities they serve."

5 The new drug application under review,
6 oxycodone hydrochloride, immediate-release oral
7 tablets, have been formulated with the intent to
8 provide abuse-deterrent properties. While there
9 are currently nine approved abuse-deterrent,
10 extended-release opioid formulations, there are no
11 approved immediate-release formulations with
12 abuse-deterrent labeling.

13 While the first generation of
14 abuse-deterrent formulations have reduced abuse and
15 diversion, many advances to this technology that
16 would further erode the street value of opioids and
17 maintain access to the individuals who benefit from
18 the relief would be welcomed.

19 NADDI is a strong proponent of new abuse-
20 deterrent medicines that make it more difficult for
21 an abuser and reduce law enforcement involvement in
22 healthcare. Thank you very much.

1 DR. BROWN: Will speaker number 4 step to
2 the podium and introduce yourself?

3 DR. POLANIN: Thank you for the opportunity
4 to speak today. My name is Dr. Megan Polanin. I'm
5 a licensed clinical psychologist in Washington,
6 D.C. and a senior fellow at the National Center for
7 Health Research. I previously trained at Johns
8 Hopkins University School of Medicine.

9 Our research center analyzes scientific and
10 medical data and provides objective health
11 information to patients, providers, and
12 policymakers. We do not accept funding from the
13 drug or medical device industry, and I have no
14 conflicts of interest.

15 The development of opioids formulated to
16 prevent abuse is a public health priority, and we
17 support the FDA's efforts to encourage the creation
18 of opioid analgesics that deter abuse.

19 The FDA states that a product that has
20 abuse-deterrent properties means that the risk of
21 abuse is lower than it would be without such
22 properties. According to the FDA materials

1 provided, it appears that RoxyBond is more abuse-
2 deterrent compared with Roxycodone. However, there
3 is still abuse potential for the intranasal and
4 intravenous use of RoxyBond.

5 The studies about RoxyBond's abuse are
6 limited. In the laboratory setting, it appears to
7 meet the FDA's current standards for abuse
8 deterrence. Whether its abuse-deterrent properties
9 are effective in the real world and whether
10 RoxyBond is a better drug are much more difficult
11 questions that will require postmarketing data.

12 We know from previous experience with
13 opioids that the FDA has designated as abuse-
14 deterrent, that once this drug is on the market, it
15 may be abused more widely than current laboratory
16 studies suggest. That is exactly what happened
17 with reformulated Opana ER, as several members of
18 this panel are aware.

19 Compared with the FDA approved extended-
20 release, long-acting, abuse-deterrent opioids,
21 RoxyBond's characteristics are similar regarding
22 drug liking and taking the drug again. Thus, it

1 does not appear more likely to be abused than
2 extended-release long-acting opioids.

3 Unfortunately, this comparison is
4 rudimentary and less than ideal for several
5 reasons. First, a direct comparison is impossible,
6 given a lack of sufficient information. Second, we
7 are utilizing extended-release, long-acting opioids
8 currently on the market as a comparison, which does
9 not set a high standard.

10 The FDA's guidelines state that a drug's
11 label should reflect and describe a product's
12 specific abuse-deterrent properties such as an
13 abuser's ability to crush a tablet and extract the
14 opioid. Thus RoxyBond's label should include its
15 specific abuse-deterrent properties and clearly
16 specify the potential risks of intranasal and
17 intravenous abuse.

18 Most important, the FDA should require
19 opioids to have a black box warning indicating that
20 although the drug may be more difficult to crush or
21 inject, it is still highly addictive.

22 Opioid addiction is an epidemic in the U.S.,

1 and labeling a drug as abuse-deterrent influences
2 doctors, patients, and family members.
3 Unfortunately, many doctors think abuse-deterrent
4 means an opioid is less addictive.

5 To be part of the solution rather than part
6 of the problem, the FDA should be diligent in
7 analyzing whether this drug's abuse-deterrent
8 properties result in meaningful reductions in
9 abuse, misuse, and related adverse clinical
10 outcomes compared with Roxicodone once it is
11 marketed to consumers.

12 Although current data suggests that this
13 drug will be less likely to be abused, abusers of
14 the drug can be more creative or implement unique
15 techniques to overcome these deterrents. Thus,
16 sufficient follow-up is critical in order to
17 determine if this is actually the case.

18 If approved with abuse-deterrent labeling,
19 this will be the first immediate-release,
20 abuse-deterrent opioid, and it will likely be
21 favored for prescriptions and will set a standard
22 for future drugs to meet. Thus, it is important

1 for this panel and the FDA to make approval
2 decisions based on good science and strong data.

3 To reduce the opioid epidemic, the FDA must
4 hold pharmaceutical companies to a high and
5 truthful standard. We urge this advisory committee
6 to advocate for patient safety by demanding that
7 the FDA include labeling regarding RoxyBond's
8 specific abuse-deterrent properties as well as the
9 specific routes of abuse that the product has been
10 developed to deter.

11 We also urge this committee to recommend
12 that if RoxyBond is approved, postmarket studies
13 should be required immediately to evaluate its use
14 and abuse once it is on the market. Thank you.

15 DR. BROWN: Speaker number 5, if you will
16 step up to the podium and introduce yourself.

17 MR. BRASON: Good afternoon. Thank you,
18 Mr. Chairman, and the opportunity. My name is Fred
19 Brason, president and CEO of Project Lazarus out of
20 the great state of North Carolina, currently known
21 as NCB&B. That would be North Carolina, basketball
22 and bathrooms, unfortunately.

1 I appreciate the science that you need to
2 look at today, but I want to talk to you about the
3 public health approach and the issues that we deal
4 with at the street community level.

5 These are individuals that you see on your
6 screen, patient misuse through substance use
7 disorder. All of these individuals, we've had in
8 our communities that unfortunately have suffered
9 adverse events and overdoses from prescription
10 medications. Therefore, we have to strike the
11 balance of preventing, intervening, and treating
12 both the person that has pain and both the person
13 that has substance use disorder, and strike an even
14 balance across that.

15 We've done a lot of prescriber education
16 throughout North Carolina and other states, the
17 military, and tribal groups, and part of our CMEs
18 and so forth that we bring forth definitely stress
19 the abuse-deterrent formulations when it's
20 available, when it's covered, when there's no
21 preauthorization for that, so that the prescriber
22 can look at the entire patient, looking at their

1 substance use possibly, their history, and mental
2 health capacity.

3 Some of the changes that we've made is shown
4 here by the officer from Wilkes County saying, "Our
5 doctors are doing a heck of a good job. Most of
6 the supply unfortunately is coming outside of the
7 county from other sources." And we know that
8 abuse-deterrent formulations on our street, at
9 least the quote is, "You can't give them away."
10 It's too much of a problem to be able to use it,
11 thankfully so.

12 But the communities that we have in the
13 Appalachian region where I live, we have a sordid
14 history, and it started with moonshine. We do and
15 have moved into marijuana, we do and have moved
16 into meth, and we do and have moved into medicine.

17 Medicine is our new moonshine. It has
18 created an underground economy for individuals
19 because we are in the Appalachian region. We have
20 high poverty, we have high levels of depression,
21 unemployment, and so forth. And those are areas
22 that we have to address ongoing because it's the

1 social determinants that drive, unfortunately,
2 substance use.

3 You can see here, just from these roundups
4 that our law enforcement has had to do just in our
5 county alone, multiple individuals who are selling
6 prescription medications that they have been able
7 to obtain at somewhere, again, mostly outside of
8 the county and other places, to supplement what
9 income they do or they don't have. And it just
10 shows you how it's driven economically for those
11 individuals.

12 For a county like ours, the number two in
13 the nation from 2000 to 2014 for income loss, just
14 shows you the level of need that we have from the
15 economic perspective, and abuse deterrents kind of
16 remove themselves from that marketplace.

17 Some of the results that we've had in our
18 community in North Carolina is a drop in mortality,
19 is a drop in adverse events, is a drop in emergency
20 department utilization for substance-use events
21 throughout the entire state by those who developed
22 a Project Lazarus type model for public health with

1 a coalition within that county.

2 One success we've had at Fort Bragg with the
3 Department of Defense was introducing much of the
4 chronic pain initiative that we introduced.

5 Overdoses are down, adverse events are down, and
6 any refill within the Department of Defense at Fort
7 Bragg is an abuse-deterrent formulation because it
8 just stops that progression that could be possible
9 with individuals.

10 A study that is continuing on in
11 Massachusetts, that those individuals that
12 unfortunately had died from an overdose and looked
13 at from 2011 to 2014, did have a prescription at
14 one time. But at the time of their death, it was
15 only 8.3 that had an actual active prescription at
16 the time of their death, which indicates the
17 conclusion that diversion is what is the source
18 driving, unfortunately, the epidemic that we're in.
19 And any way that we can deter, stop, or change that
20 diversion is going to have a positive effect on the
21 public that we're dealing with of all ages and all
22 communities.

1 You can't really see this. I just wanted
2 this in the record, but these are every county in
3 North Carolina, and the graphs shown are those that
4 have increases in injection use of substance use.
5 And these are testaments from those previous three
6 months before they entered into treatment, and over
7 50 percent of the counties in North Carolina show
8 an increase in injection from prescription
9 medications.

10 This is the graph that shows you that
11 progression overall that has continued from 2008
12 through 2014 and into '15, that it is an issue, it
13 is a problem. And any way that we can deter from
14 that and still maintain pain care for the
15 individual that needs it, being it safe and
16 responsible, helps us within the community to do
17 that.

18 When the music changes, so does the dance.
19 The climate is not where it used to be. We have to
20 make progressive steps in order to ensure there's
21 proper care and treatment for everybody from pain
22 to substance-use disorder, and the circle of family

1 and friends surrounding them. Thank you very much
2 for your time today.

3 DR. BROWN: Could the next speaker step up
4 to the podium and introduce yourself? Speaker
5 number 6?

6 (No response.)

7 DR. BROWN: Could speaker number 7 step to
8 the podium and introduce yourself?

9 MR. THOMPSON: Hello and good afternoon. I
10 am Edwin Thompson. I am the president of
11 Pharmaceutical Manufacturing Research Services.

12 Today marks a milestone in the opioid
13 epidemic. Seven years ago today, OxyContin,
14 reformulated for abuse deterrence, was approved by
15 the FDA. It is almost three years to the day that
16 OxyContin was given abuse-deterrent labeling.

17 Since 2009, FDA has held 22 advisory
18 committee meetings regarding opioids. The FDA has
19 approved nine opioids with abuse-deterrent
20 labeling. These advisory committees have also
21 recommended extended-release opioid REMS education
22 programs, which the sponsors have participated in

1 for the last five years.

2 What are the results of all of this time,
3 this money, and these resources? The opioid
4 epidemic continues to rage out of control.

5 In 2015, 91 Americans died of an opioid
6 overdose each day. More than 33,000 opioid
7 overdose deaths were recorded that year, and
8 morbidity and mortality continue to accelerate at
9 the same breakneck pace.

10 Unquestionably, the attempted strategies are
11 wrong and ineffective. This is a failed system.
12 Advisory committee decisions have not reduced the
13 rate or the number of opioid overdose deaths.

14 Speciously, these decisions have licensed
15 pharmaceutical companies to promote abuse-deterrent
16 properties of opioids to physicians. In effect,
17 these committees have provided or extended patent
18 protection to opioid products, resulting in nothing
19 but increased cost passed on to patients. We are
20 all familiar with the consequences. The opioid
21 epidemic rages out of control.

22 But what's really wrong with these

1 practices? Neither the sponsors nor the FDA follow
2 the FDA guidance for abuse-deterrent evaluation and
3 labeling. Abuse deterrence is like the distraction
4 in a magic trick. Things don't seem to be what
5 they are, do they?

6 The guidance stipulates, quote, "The
7 potentially abuse-deterrent product and comparator
8 should be manipulated to cause the highest release
9 of the opioid and the highest plasma levels." As
10 well as, quote, "For a product with potential for
11 abuse by the nasal route, the methods to provide
12 the smallest particle size should be used in
13 subsequent studies."

14 Only manipulation through extraction
15 provides material able to meet these criteria. In
16 the background information for today's meeting, the
17 in vitro study results demonstrate that
18 approximately 85 percent of oxycodone can be
19 extracted from a RoxyBond tablet within 15 minutes.

20 Let me be very clear. Grinding tablets is
21 not comparable to extracting API. Why then would
22 the HAP study be performed with ground material?

1 The extracted material can readily be made into
2 powder -- powder, not particles -- and in this
3 form, the manipulated product is unable to be
4 differentiated from Roxicodone.

5 As such, the studies are unnecessary and
6 should have never been conducted. The only reason
7 the approved drugs have been able to differentiate
8 from the comparator in human abuse potential
9 studies is by deliberately avoiding the use of
10 extracted material.

11 These advisory committees have wrongly
12 evaluated human abuse potential studies and
13 recommended abuse-deterrent labeling without
14 considering whether the studies are being performed
15 as intended. This committee must ensure that the
16 extracted material with the lowest particle size
17 and highest release was used to study the
18 abuse-deterrent properties of the drug before you
19 can properly evaluate these human abuse potential
20 studies.

21 If these studies did not use extracted
22 material, they were not conducted according to the

1 guidance, and there is insufficient data to support
2 abuse-deterrent properties. Thank you.

3 DR. BROWN: Could speaker number 8 please
4 step to the podium?

5 MS. FOSTER: Good afternoon. My name is
6 Wendy Foster, and I'm the senior state advocate for
7 U.S. Pain Foundation, an organization founded by
8 people with pain for people with pain to help
9 support, empower, educate, and advocate for the
10 chronic pain patient. Neither U.S. Pain nor I have
11 received any compensation for appearing here today.

12 I have been living with chronic pain for
13 over 24 years, not take an aspirin and wait a while
14 pain, but chronic unrelenting pain. I have
15 bilateral restrictive lung disease secondary to a
16 proximal myopathy, severe migraines, spinal
17 stenosis, and degenerative disks, Parkinson's
18 disease, and effects from a stroke.

19 While opioids are contraindicated for
20 migraines, they can be used successfully for my
21 other conditions. However, not all medications can
22 ease the pain for all types of chronic pain, and

1 the medications that help my chronic pain may not
2 help the next person.

3 The Institute of Medicine estimates there
4 are 100 million Americans living with chronic pain.
5 That's 100 million chronic pain patients with
6 varying conditions. No two people are the same.
7 No two chronic pain issues are the same or react
8 the same way.

9 As a person with chronic pain and as an
10 organization, we realize that pain and addiction
11 are serious diseases and both need to be addressed.
12 Having safer medication with abuse-deterrent
13 properties is one of the tools we need to both
14 fight addiction as well as pain. In addition, we
15 also need more education and other tools to combat
16 chronic pain and addiction.

17 I'm not blind to the epidemic of opioid
18 abuse in our country. I have a child who has OD'ed
19 on at least two occasions. There is nothing that
20 can prepare you for that call. He was lucky,
21 though, and is currently doing well in his
22 recovery.

1 But that doesn't change the number of people
2 living with chronic pain. In fact, when their
3 medications are stopped or given in limited supply,
4 the chronic pain patient will cut back on their
5 prescriptions to make sure they have them when
6 absolutely needed or stop them altogether.

7 This can further complicate matters as the
8 chronic pain patient will withdraw from society and
9 begin to feel there is no hope. This feeling can
10 lead to despair and in some cases, suicide. It is
11 vital to have as many options for the chronic pain
12 patient available so that along with their doctor,
13 they can find the medications that help with their
14 pain.

15 We say it is necessary to have all pain
16 medications new and existing, which have
17 abuse-deterrent formulas be available for the
18 chronic pain patient. Thank you.

19 DR. BROWN: Will speaker number 9 step up to
20 the podium and introduce yourself?

21 MS. KULKARNI: Thank you, Chairman.

22 My name is Shruti Kulkarni, and I'm counsel

1 to the not-for-profit Center for Lawful Access and
2 Abuse Deterrence. CLAAD's funders include
3 treatment centers, laboratories, and pharmaceutical
4 companies, and are disclosed on our website at
5 claad.org.

6 Thank you for the opportunity to provide
7 CLAAD's input on the abuse-deterrent properties of
8 the proposed immediate-release oxycodone. CLAAD
9 works to reduce prescription drug fraud, diversion,
10 misuse, and abuse while ensuring that individuals
11 with legitimate needs have lawful access to
12 medications that safely and effectively treat their
13 health conditions.

14 Our organization has taken an active role in
15 encouraging a market transition of all commonly
16 abused medications to abuse-deterrent forms. We're
17 pleased that the industry to responding to our
18 coalition's call to develop safer medications to
19 reduce prescription drug abuse.

20 Medications like the proposed IR oxycodone
21 can satisfy patient needs and improve public health
22 and safety. In assessing whether this medication

1 merits an abuse-deterrent labeling, the committee
2 should consider the following facts.

3 As the FDA noted, in 2016, approximately
4 19 million patients were dispensed prescriptions
5 for oxycodone IR products with no abuse-deterrent
6 properties. As noted in the RADARS study last
7 year, these products are the most susceptible to
8 misuse and abuse via alternative routes of
9 administration. In fact, IR opioids are abused
10 over five and a half times the rate of ER products.

11 Research presented by the New England
12 Journal of Medicine and by the CDC at the National
13 Prescription Drug Abuse and Heroin Summit last year
14 showed that the most common transition pathway from
15 oral abuse to heroin use is to start with oral
16 ingestion of pills, move to the crushing and
17 snorting of pills, continue to the snorting of
18 heroin, and finally injecting prescription opioids
19 and heroin.

20 In order to prevent this transition, it is
21 important to make the abuse of manipulated opioids
22 more difficult and less rewarding. Therefore, any

1 newly proposed IR oxycodone product should address
2 these concerns prior to FDA approval.

3 Sponsor studies support the following
4 conclusions. First, the proposed formulation is
5 significantly more different to crush, cut, or
6 grind with common household tools. As a result,
7 those who seek to abuse it are less likely to gain
8 immediate access to its active pharmaceutical
9 ingredient. Therefore, this product will be less
10 desirable to inexperienced individuals who seek to
11 abuse oxycodone using alternative routes of
12 administration.

13 Second, even if an individual crushes, cuts,
14 or grinds the product for intranasal abuse, less of
15 the manipulated product is absorbed and at a lower
16 rate than if the product is taken orally or even
17 compared to the manipulated intranasal
18 administration of IR oxycodone without
19 abuse-deterrent features.

20 Third, if the product is manipulated and
21 introduced to a liquid environment, it creates a
22 viscous matter that it is difficult to syringe,

1 creating a barrier to IV abuse.

2 Finally, every time an abuse-deterrent
3 medication enters the market, it increases the
4 likelihood that we can improve the quality of
5 healthcare, spur competition, and fund additional
6 research and development. Our ultimate goal is to
7 ensure patients have access to effective treatment
8 for conditions like pain, anxiety, ADHD, and
9 addiction that do not pose the risks of addiction
10 and overdose.

11 Thank you for the opportunity.

12 DR. BROWN: Speaker number 6? If speaker
13 number 6 is available, could you step to the forum?

14 (No response.)

15 DR. BROWN: If not, the open public hearing
16 portion of this meeting has now concluded, and we
17 will no longer take comments from the audience.

18 The committee will now turn its attention to
19 address the task at hand, the careful consideration
20 of the data before the committee as well as the
21 public comments. Dr. Sharon Hertz will now provide
22 us with the charge to the committee.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Charge to the Committee - Sharon Hertz

DR. HERTZ: Thanks. You have heard today data from the in vitro and in vivo evaluation of the abuse-deterrent properties of RoxyBond along with general information about the use of IR oxycodone analgesic products.

We generally reserve FDA presentations to information not presented by the applicant or areas where we may have a different perspective. While we don't have questions about the methods or results of the applicant's studies, we may have noted from the background package, there is some concern about how the drug-liking results from intranasal manipulated RoxyBond and oral intact Roxicodone relate to the significance of abuse-deterrent effects by the intranasal route.

We heard some questions and a little bit of clarification on this during the earlier clarification period, but as you discuss question 1, I'd like to ask you to please consider describing your opinion of these data, particularly in light of the different pharmacodynamic outcomes

1 that were evaluated. So we had the drug liking, as
2 well as take drug again, and overall drug liking.

3 I look forward to hearing the discussion.
4 We take a lot of notes because we really value the
5 discussion, not just the outcome of votes or final
6 comments. Thank you again for your time.

7 DR. BROWN: Thank you, Dr. Hertz.

8 We're now going to proceed to the questions
9 to the committee and the panel discussions. I
10 would like to remind public observers that while
11 this meeting is open for public observation, public
12 attendees may not participate except at the
13 specific request of the panel.

14 Our first question is a discussion question,
15 but subsequently, we will use our electronic voting
16 system for this meeting, and I will speak about
17 that in a few minutes.

18 If we could go on to the first discussion
19 question, please discuss whether there are
20 sufficient data to support a finding that RoxyBond
21 oxycodone hydrochloride immediate-release tablets
22 has properties that can be expected to deter abuse,

1 commenting on support for abuse-deterrent effects
2 for each of the following routes of abuse: nasal,
3 intravenous.

4 Is that question clear to all the members of
5 the panel, and does everyone think that we can make
6 assertions based on the question such as it is?
7 Does anybody have any questions or comments about
8 question number 1?

9 DR. AIGNER: Mr. Chairman, could I ask
10 whether I could be recognized just for a minute,
11 answering some of the questions we said we would
12 find answers for, or is that no longer relevant?

13 **Clarifying Questions (continued)**

14 DR. BROWN: I think that will be fine. Go
15 ahead.

16 DR. AIGNER: Dr. Webster, I believe the
17 first question was to just show a physical image of
18 manipulated RoxyBond.

19 DR. WEBSTER: Yes. This is the visual of
20 the material that the subjects would snort, and I
21 think, as I mentioned to Dr. Walsh before the break
22 or before lunch, is that there were some larger

1 particles, and you can see that those are the
2 larger particles. And I think that this is
3 probably what contributes to part of the difference
4 because they probably swallowed that, swallowed
5 some of those particles.

6 I'd like to address then the other question
7 that was related to that, which is the ease of
8 snorting. I think that that contributes. Those
9 larger particles will contribute to the ease of
10 snorting. And then there was another question
11 about how does that PD effect assessment relate to
12 the ease of snorting.

13 Actually, we take the assessment about the
14 ease of snorting within 5 minutes after snorting,
15 so there is not really the liking assessments yet.

16 DR. AIGNER: I believe there was a second
17 question about the PK dropouts, Dr. Webster.

18 DR. WEBSTER: Oh, yes. Obviously, this was
19 my study, too, and I'll bring the data up for your
20 question about the dropouts on the PK. I don't
21 have the slide for that, but I do have some
22 information.

1 There were 75 subjects that entered and 17
2 total dropouts. Ten of them were for AEs, and 8 of
3 those 10 dropped out because of naltrexone, side
4 effects to the naltrexone. One was to loss to
5 follow up, and then a few had withdrawn their
6 consent.

7 Now, we followed the protocol, which said
8 that if they -- prior to the drug exposure, and
9 this was a part of the FDA guidance as well, so
10 they were removed before there was any data,
11 really, on the drug.

12 DR. BROWN: Dr. Walsh, do you have a
13 clarifying question?

14 DR. WALSH: I do. I just want to understand
15 fully what was done in the study. I guess the
16 question goes back to Dr. Webster.

17 The picture that you're showing, is that of
18 product that was prepared for the human abuse
19 liability study?

20 DR. WEBSTER: Yes.

21 DR. WALSH: Where was the preparation for
22 that done? Was that done in advance and then

1 shipped to you --

2 DR. WEBSTER: No, no.

3 DR. WALSH: -- or was that something that
4 was done on-site?

5 DR. WEBSTER: Yes. We prepare all of these.
6 In fact, this is placed in an amber bottle, and the
7 subjects are in a dark room. Usually, we have a
8 black light as the only light that we have, so they
9 can't visually see this. And a straw is placed in
10 the amber bottle, so that they're blinded. The
11 subjects cannot see, smell, look in any way to
12 differentiate the Roxicodone from this.

13 DR. WALSH: So the manipulation that you use
14 with tool G, I think if I interpreted what you said
15 earlier, you suggested that it wasn't the same
16 protocol that was used in the in vitro testing. Is
17 that correct, or it wasn't the same --

18 DR. WEBSTER: Yes, it was the same. If I
19 said different, then I made a mistake, but it was
20 the same.

21 DR. WALSH: Okay. I don't know what the
22 scale is on that figure, but those look like really

1 big chunks in that way that they're presented. It
2 might just be a magnification problem.

3 DR. WEBSTER: It is a little magnified, but
4 there were chunks. There were chunks, but yet they
5 could insufflate them through a straw.

6 DR. WALSH: I don't know whether or not you
7 know this or if it's a formulations person.
8 Clearly, some of it is very powder fine, as you
9 would expect for the comparator product. Other
10 parts are not.

11 Is it the core that is chunky? Is it the
12 crust that's chunky? Is it both?

13 DR. WEBSTER: You're right. That's not my
14 question.

15 (Laughter.)

16 DR. WALSH: Right.

17 DR. AIGNER: We would expect that tablet
18 form B is what creates the larger particles. Just
19 to calibrate a tiny bit, most of these particles
20 are less than 2000 microns.

21 DR. WALSH: Right. Right. I guess it's all
22 tied in together, the interpretation of the PK and

1 PD findings for the intranasal study. One thing I
2 had asked for clarification earlier, that maybe
3 you're going to still show, is given that the drug
4 has excellent absorption profiles orally, if all of
5 it from the vial is going into the person's nose
6 and some of it's not being absorbed by the mucosal
7 membrane, then it's going into the gut, and you
8 would expect to see absorption then.

9 So we see differences in the area under the
10 curve between the two comparisons. I don't know
11 what that means. Does that mean that the rest of
12 it's being excreted unchanged? Did you consider,
13 or did you do any studies to look at unchanged
14 excretion of the product?

15 How do you account for that? I know that
16 you think it's bound to your secret formula, but
17 eventually, it's got to come out.

18 DR. AIGNER: You're correct. The RoxyBond
19 is formulated to release in the GI, not the nose.
20 And of course, the nasal cavity is connected to the
21 GI. So if your thinking is correct, which is part
22 of what our physiochemical abuse barrier

1 is -- because you don't get what you get for
2 Roxicodone. When you snort it, it ramps up much
3 faster. You might remember that red graph we had.
4 That's what abusers are really wanting, very high
5 liking and very fast, versus what this is.

6 Even if snorted, they have to wait till it
7 slowly goes into the GI, and actually, it's less
8 than taking RoxyBond intact orally. So there's no
9 reason for an abuser to snort RoxyBond. And
10 compared to Roxicodone, it's a very significant
11 improvement.

12 We do have some PK graphs, but they're a
13 little messy, spaghetti graphs, if they would be
14 helpful to you.

15 DR. WALSH: You can show them, and we can
16 see.

17 DR. AIGNER: Real quick. It is hard to
18 digest, but we did want to bring the information we
19 had.

20 On the left-hand side, you see crushed
21 RoxyBond compared to intact RoxyBond on the
22 right-hand side. I believe it is consistent with

1 what you have been thinking about.

2 DR. BROWN: Can you go back to the picture
3 that we were just looking at? Because I've got
4 some questions.

5 Is this one tablet, 2 tablets, 9 tablets,
6 3 tablets?

7 DR. AIGNER: It's one tablet.

8 DR. BROWN: This is for Dr. Webster. In
9 doing these studies, Lynn, did the subjects inhale
10 one tablet, half of that, all of it? What was the
11 percentage?

12 DR. WEBSTER: They inhaled all of it. It
13 took just a little bit longer than the Roxicodone,
14 but still, everybody within 5 minutes, most of them
15 inhaled all of it within 2 minutes.

16 DR. BROWN: Okay. I don't know what the
17 scale of that is either, but I doubt seriously that
18 there's much chance that those larger particles are
19 going to go directly across the nasal mucosa.
20 Would you say that's reasonable?

21 DR. WEBSTER: I think those larger
22 particles, yes. Now, let's keep in mind that

1 that's about 8 percent or larger than 2000 microns.

2 DR. BROWN: That's not what it appears.
3 Pictures being what they are, worth some number of
4 words, it appears that 25 percent or more of those
5 are large particles.

6 I guess the only thing that I'm suggesting
7 is that -- and this is pursuant to what Dr. Walsh,
8 I think, was getting at -- that if you're not
9 inhaling those and they're not crossing the nasal
10 mucosa, then they're most likely to go into the GI
11 tract, so that we're not really measuring the
12 amount of uptake from intranasal inhalation, but a
13 combination of that with the amount that gets into
14 the gut.

15 DR. WEBSTER: I think you're right, and
16 that's the purpose of having a good abuse-deterrent
17 for intranasal route. If it can't be rapidly
18 absorbed across the mucosal membrane, then it's an
19 abuse-deterrent. It's being swallowed. Some of
20 it's being swallowed. Some of it goes across the
21 mucosa, but not all of it.

22 DR. BROWN: Dr. Bateman?

1 DR. BATEMAN: Can I just ask, how long was
2 the pill manipulated by tool G for that experiment?

3 DR. AIGNER: Two minutes.

4 DR. BATEMAN: And with longer manipulation,
5 will the particle size become smaller, you said, or
6 not?

7 DR. AIGNER: Actually, because that is such
8 a key question, we actually manipulated with the
9 same tool up to 10 minutes. And as you see on this
10 graph, after 1 minute, it really doesn't change the
11 particle-size distribution any more.

12 DR. BATEMAN: Then just one other question.
13 There was a claim made in the presentation that
14 particle-size reduction does not overcome the
15 abuse-deterrent properties by nasal inhalation. Is
16 that based on the PK/PD data from this experiment?

17 DR. AIGNER: On the in vitro results, if you
18 look at the in vitro results, particle-size
19 reduction does not really significantly increase
20 the release across any of the experiments.

21 DR. BROWN: Who was next? Dr. Morrato, I
22 believe was next.

1 DR. MORRATO: Elaine Morrato. Getting back
2 to the standards in terms of the subsequent testing
3 is driven by using the smallest particle size
4 should be used moving forward -- and I can
5 understand how the chemistry may not make a
6 difference, but the idea is that the subsequent
7 experiments should be using the finest, right?

8 I find it curious that -- it sounds like you
9 used tool G. You've met a threshold of a certain
10 percentage below 2000 microns, and then stopped and
11 moved on. Was there any consideration to really
12 try to more torture test and really try -- in light
13 of pictures like that, really try and get more
14 uniformity, as opposed to just saying you met a
15 threshold and we carry that forward?

16 So that's one. That's more of the design.
17 But then also, is there any validation that was
18 done with the site practice where they were doing
19 the test to make sure that they are manipulating it
20 equivalently to how you did in the in vitro? So
21 not just the method, but you do some
22 standardization to make sure how they're doing it

1 is equivalent.

2 DR. AIGNER: Yes, we did send out our team.
3 They did train the pharmacy, same equipment like a
4 transfer of method --

5 DR. MORRATO: Okay. Good.

6 DR. AIGNER: -- that's what it's called.

7 DR. MORRATO: Yes.

8 DR. AIGNER: Again, maybe -- I should say it
9 one more time -- in terms of particle-size
10 reduction -- and we had a whole lot in the briefing
11 book, FDA did as well -- particle-size reduction
12 does not help you to get more oxycodone out of
13 RoxyBond. So even if we found small particles, it
14 does not release faster.

15 DR. MORRATO: But it is impacting this
16 question around the nasal ability to snort and so
17 forth, right?

18 DR. AIGNER: And I would marry that, but the
19 second aspect, that the nasal cavity and the pH,
20 the nasal cavity is probably the worst place you
21 want to think about oxycodone being extracted.

22 DR. MORRATO: Right. It's the principle of

1 following the letter of the law in terms of --

2 DR. AIGNER: Yes, yes.

3 DR. MORRATO: -- testing versus I'm really
4 going to try and torture it and see what worst case
5 scenario looks like as you carry through.

6 DR. BROWN: Dr. Kibbe?

7 DR. KIBBE: I'm going to do a little bit of
8 a tutorial on micromeritics. Micromeritics is the
9 study of small particles and what they do and how
10 they behave. When you have a polymer that is
11 easily hydrated and will swell, by making it a
12 small particle, it will uptake moisture more
13 rapidly, swell quicker, and reestablish a gel
14 barrier that you wouldn't get if it wasn't as
15 small.

16 So what our presenter is talking about is
17 that making it a small particle doesn't help
18 because all the ingredients in the core are
19 swellable and gellable. And when you grind it up,
20 you allow those core materials to get wet quicker,
21 and then it makes a jelly mass faster. And the
22 reason it works as a tablet in the gut is because

1 those things don't get a chance to get hydrated
2 until after the drug's out.

3 So we're going down a rathole with this
4 particle-size stuff. My concern is not that there
5 is less drug being absorbed, but where is the drug
6 residing if it's not being absorbed. And it's my
7 opinion that because they have such good strong
8 gelling polymers, they're trapping it on the
9 surface of the nose, and the next time that subject
10 sneezes or blows their nose, they get rid of it.
11 And there's a percentage that's not getting in.

12 There's an interesting fact that we haven't
13 talked about, and that is, what's the total weight
14 of the tablet and what percent of that is the
15 active ingredient? Because all of the stuff we're
16 talking about is the excipients. We're talking
17 about 15 milligrams of active ingredient in an
18 150-milligram tablet. I don't know the size, but
19 what is it?

20 DR. AIGNER: The weight of the tablet is
21 600, and the dosage used for the liking study,
22 30 milligrams of active.

1 DR. KIBBE: Okay. We're talking about less
2 than 5 percent of that ground up stuff actually is
3 the drug itself, and it's surrounded by a bunch of
4 polymers. We saw the list this morning. I
5 guarantee you that 90 percent of those polymers
6 will absorb moisture, and they'll swell, and that's
7 the dynamics of the nasal uptake.

8 DR. AIGNER: Since we're talking about that,
9 if you think about Roxicodone as a tablet, it's a
10 fraction of that, and the vast majority is actually
11 oxycodone. That explains why there's such a
12 dramatic reduction in liking if an abuser uses
13 Roxicodone versus RoxyBond.

14 DR. BROWN: Dr. Zacharoff?

15 DR. ZACHAROFF: Just a comment that
16 regardless of how the photograph of the ground
17 substance by tool G looks, I have to assume that
18 the table that we saw, where the mean percentage of
19 particles that were less than 2000 microns in size,
20 when exposed to tool G was 92 percent.

21 So I think it was an extremely high level of
22 magnification, but I have to go by the fact that

1 regardless of what it looks like to me magnified,
2 that 92 percent of it was 2000 microns or less in
3 size.

4 DR. AIGNER: That is correct, yes.

5 DR. BROWN: I'm going to give the last
6 question to Dr. Amidon, so that we can move on to
7 our discussion question. Dr. Amidon?

8 DR. AMIDON: Yes. Thanks. Greg Amidon. I
9 was wondering if you know in that milled sample, a
10 picture that you have, where the drug is. Is it in
11 the fines that we saw, or is it in the big chunks?
12 In other words, are the fines perhaps enriched in
13 drug? Could you give some insight in that?

14 DR. AIGNER: We don't have any data on that.
15 We never measured where the drug was.

16 DR. AMIDON: My concern would be, well, if
17 it's the fines, that could be preferentially taken.

18 **Questions to the Committee and Discussion**

19 DR. BROWN: We're going to move back to the
20 question for discussion, and again, we're asking
21 the question about whether there was sufficient
22 data to -- excuse me.

1 DR. HERTZ: Sorry. The projection was a
2 little off, but it's fine now.

3 DR. BROWN: All right. The question before
4 us relates to whether there's sufficient data to
5 support a finding that RoxyBond has properties that
6 can be expected to deter abuse, and specifically,
7 we are going to be commenting on abuse-deterrent
8 effects relating to intranasal use and intravenous
9 use.

10 Comments from the group? Dr. Emala?

11 DR. EMALA: As I reviewed the drug liking
12 and Emax high and such from the intranasal route,
13 at first glance, I had concerns about what a change
14 of 11 or 12 means on a 100-fold scale, but I was
15 reassured by the publications presented that
16 something as small as 5 millimeters may have a
17 clinical significance. Because I'm worried that
18 looking at these tiny p-values for statistical
19 significance tells us little about the relative
20 clinical significance. I think that translates
21 well.

22 I also did the exercise of looking through

1 the briefing documents for the extended-release
2 products that currently carry nasal abuse-deterrent
3 labeling to see what kind of ratio scales differ.
4 The values that are with this product fall well
5 within the range of the extended-release products
6 for drug liking, Emax high, take-drug-again scores.

7 I think comparing apples to apples at least
8 from interpreting these intranasal scores, there
9 are the extended-release products that are
10 currently carrying that labeling with similar
11 scores.

12 If I could just finish with a comment about
13 the intravenous, more of a recommendation to both
14 sponsor and FDA that we not continue to ignore what
15 may happen with the various excipients when they
16 are injected. We learned that lesson I think with
17 PEO, and I think it's incumbent upon both the
18 sponsors and the FDA to not ignore the unintended
19 consequences of what these excipients might be
20 doing.

21 I'm somewhat reassured that this gelatinous
22 mess that occurs with hydration likely incorporates

1 a lot of those things that you wouldn't want to
2 inject, but my question this morning about whether
3 the liquid portion of that was ever analyzed for
4 excipients I think is an important thing to keep in
5 mind.

6 DR. BROWN: Other comments from the group?

7 Dr. Walsh, are you satisfied with the human
8 abuse studies demonstrating lower drug liking and
9 desire to use the drug again? Does it seem
10 reasonable based on your knowledge of this?

11 DR. WALSH: Well, I think, like Dr. Emala
12 said, in comparison to others that are already
13 approved with this language and with what I
14 understand to be the FDA's expectation, even though
15 it's not nearly a perfect science about how much of
16 a change on a visual analog scale is actually
17 meaningful, I think that they have met the letter
18 of the law with regard to that.

19 I still do have some reservations just about
20 interpretation of the nasal data, and part of it I
21 think would have been solved by knowing where the
22 rest of the drug has gone. It's fine to say that

1 somebody is blowing out in a tissue, but nobody
2 presented data about that. It's going into the
3 body, and it hasn't been accounted for. So that
4 just makes me wonder a little bit.

5 I guess the last comment that the sponsor
6 made, which I had been thinking about, is just the
7 difference in volumes for insufflation because
8 there's a limit to how much surface area you have
9 when you insufflate something, and you've reached
10 that maximum. And it sounds like we're reaching
11 that far earlier with the volume of material that's
12 being insufflated with the RoxyBond compared to the
13 comparator.

14 I don't know what the difference is. Maybe
15 the sponsor can say. The difference in the volume
16 that people are being asked to insufflate, is it
17 like tenfold? Is that close?

18 DR. AIGNER: There would be a sixfold
19 difference, 100 milligrams compared to 600.
20 Although as Dr. Webster said, all the material
21 actually was snorted by the subject.

22 DR. WALSH: Okay.

1 DR. BROWN: Dr. McCann?

2 DR. McCANN: I'm actually fairly convinced
3 that it is a nasal deterrent with the data that's
4 been presented. The intravenous route, however,
5 when you look at slide 40, which talks about
6 complex multistep process required to prepare
7 RoxyBond solution for IV abuse, the extreme
8 solvents and the neutralizing solvents are in my
9 kitchen pantry right now. When I get an urge for
10 chocolate, it takes me 5 or 6 steps before I get my
11 brownie.

12 I don't know that -- I wouldn't consider it
13 a really complex thing to get sufficient amount of
14 oxycodone using this particular method. I'm not
15 convinced on IV.

16 DR. BROWN: I need to push back on it
17 because I want to understand what it is that you're
18 trying to say. I believe I agree with you, but
19 it's apparent that the required steps for abuse in
20 slide 40 indicate that there's about a 5- or 6-step
21 process to prepare an opioid for intravenous
22 infusion.

1 DR. McCANN: And when you're done, you just
2 get two-thirds of the amount. You don't get the
3 full amount. You lose one-third.

4 DR. BROWN: So my response to that would be
5 that by increasing the number of steps that are
6 actually required, you would in fact improve the
7 likelihood that abusers would not use the drug.

8 DR. McCANN: I'm pushing back on your
9 pushing back --.

10 (Laughter.)

11 DR. McCANN: -- and saying that I don't
12 think it's that difficult. You go online, you find
13 what the six steps are, and 45 minutes later, you
14 have your medication.

15 DR. BROWN: But if you had a medication that
16 took one step, would you use this drug?

17 DR. McCANN: In that context, comparing it
18 with the unadulterated oxycodone, I agree with you.
19 I guess what I was trying to get at is I actually
20 don't think it's that difficult to get an
21 injectable form of this drug. That's what I'd like
22 to say.

1 DR. BROWN: Dr. Kibbe?

2 DR. KIBBE: First, I want to agree with my
3 colleague. We have to address what's going to
4 happen to people who are determined abusers and
5 start injecting this stuff, and some of those
6 polymers will go into the clear liquid. So there
7 will always be that presentation.

8 The one issue that came up earlier in the
9 day that we didn't really get around to in terms of
10 nasal is that it's possible to take the product,
11 cut it in half, and peel off the coating, which
12 contains all the drug, and then perhaps grind that
13 up and make it a much smaller insufflation. And
14 they didn't do that, so that's fine.

15 When I get one of these cases, I sit around
16 the office figuring out how to defeat their product
17 because that's intellectually fun, okay? I've come
18 up with things that I would try because it would be
19 fun, and if it took 4 or 5 steps and I got solution
20 of pure oxycodone that I could do something else
21 with, that would be the challenge. Of course, I
22 don't use this stuff myself, but there has to be a

1 few people out there who are abusers who think the
2 way I do. And there's always a way to defeat this
3 stuff.

4 So the question from my mind is not is it
5 possible that it could be defeated -- I think given
6 six months on the market, there would be a website
7 with instructions on how to get the most oxycodone
8 out of it -- but does it do what it says it does,
9 which is deter that, make it more difficult, and it
10 does.

11 When we look at the three additional things
12 that we need to vote on, I would add to number 3
13 the saying about possible and deadly use because of
14 the potential for real toxicity. But at some
15 point, you have to say, okay, they're better than
16 the current product in terms of making it more
17 difficult. And if the target is really the casual
18 and first-time user, they probably have won.

19 If I'm a distributor of oxycodone in
20 Philadelphia, and I can get my hands on 5 bottles
21 of this stuff, I can make solutions of oxycodone
22 that I could sell.

1 So that's not what we're determining, I
2 don't think. We're hoping for this to be a
3 deterrent and it not to be subsequently diverted.
4 And what they've done with the polymers make it
5 really difficult for you to get a full dose
6 intranasally and make it really difficult to
7 directly get an injectable. So I would vote yes on
8 those things.

9 My reservation for this whole product is I'm
10 not sure it's a true immediate-release.

11 DR. BROWN: Dr. Bateman?

12 DR. BATEMAN: I was just going to make the
13 point, as we thought about the nasal abuse-
14 deterrent properties, we talked a lot about the
15 human abuse studies. But certainly something that
16 should inform this question is just how hard it is
17 to get it into a fine powder.

18 If you look at the manipulation with most of
19 the tools, it's hard to generate a large volume of
20 fine powder, certainly compared to Roxicodone.
21 We're looking at manipulation with G, which yielded
22 a fairly high percentage of small particles, but

1 most of the tools had quite low yields of fine
2 particles.

3 DR. BROWN: Dr. Galinkin?

4 DR. GALINKIN: While I agree that this will
5 help deter nasal use, I do want to point out that
6 the first primary use of these drugs in an
7 adulterated fashion is usually between the ages of
8 16 and 18, which we don't look at at all because
9 nobody will study -- I'm sure they didn't study any
10 kids under 18.

11 I think that, as Dr. Emala points out, is
12 that these excipients intravenously injected could
13 be toxic. And will they be more toxic in that age
14 group, we don't know. And I think it's incumbent
15 on the agency, if this gets approved, to make sure
16 that we look closely at kids to see if there's
17 increased problems with using this in an
18 adulterated fashion because kids are persistent, if
19 anything, and will adulterate these drugs.

20 DR. BROWN: Any other comments?

21 Dr. Choudhry?

22 DR. CHOUDHRY: Niteesh Choudhry. For me,

1 one of the big issues here is what's the
2 counterfactual. What are we trying to compare
3 these manipulations to?

4 When you look at some of this stuff like,
5 for example, the slide 40 that we were talking
6 about before, which is also figure 15 in the
7 sponsor's briefing document, these are what happen
8 when you manipulate either intact, or grind up, or
9 do whatever you're going to do to these medications
10 and try and extract them. But the real
11 counterfactual is oral use, like regular oral use,
12 in which we know that the PK is similar to
13 Roxicodone.

14 As I look at this, I say okay, look, if you
15 took it an intact tablet and tried to manipulate
16 it, you get less than the counterfactual as in
17 swallowing. And then if you made the mistake of
18 trying to break up the particles and then trying to
19 extract it, you get even less. In that context,
20 the amount of oxycodone that's recovered to me is
21 compellingly smaller.

22 So I would argue that at least on the nasal

1 route, in addition to the idea of the PK studies
2 that we saw and the liking studies that we saw,
3 it's fairly convincing to me, both in terms of
4 direction, effect, and consistency. And the
5 intravenous stuff is perhaps even more convincing
6 on the arguments only that it's not possible to
7 really syringe it in any meaningful way.

8 That doesn't mean we don't need to
9 understand what the excipients are and their toxic
10 effects, and there might well be hazardous things
11 that we need to know about. But there's other data
12 that was presented, which shows syringeabilities in
13 the 2 to 5 to 6 percentage point range out of a
14 possible 100, which again argues for me that this
15 meets the standard of abuse-deterrent.

16 DR. BROWN: Dr. Staffa, did you have
17 comments?

18 DR. STAFFA: This is Judy Staffa. I just
19 wanted to follow-up on Dr. Galinkin's comment about
20 kids because that question came up this morning.
21 And we've gone back and looked at some of the
22 utilization data by age, and I can tell you that in

1 2015, that calendar year, less than 1 percent of
2 the single-entity oxycodone IR products were
3 dispensed to children. So it's a very small
4 percentage of that particular market.

5 DR. GALINKIN: The comment that I'm making
6 particularly, abused by children, that's a
7 different category.

8 DR. STAFFA: Right. Exactly. I'm talking
9 about just what's prescribed to them, knowing fully
10 well they can access what's not prescribed to them
11 as well. Right.

12 DR. BROWN: Dr. Kaye?

13 DR. KAYE: Just to the numerous points that
14 were made, I think that it's very important to have
15 labeling for the potential injury to the kidneys
16 and other organs for people who choose to inject
17 this if it comes to market.

18 DR. BROWN: Dr. Amidon?

19 DR. AMIDON: My background is really in oral
20 dosage form development, design, and those things
21 related to formulation development. I think this
22 is a challenging task, in my opinion, that the

1 company has taken on.

2 I think with respect to the nasal delivery,
3 I would have liked to have known more about the
4 powder and where the drug is and maybe how it might
5 be used to get faster and higher Cmax. But I think
6 it does offer some deterrence as I've seen the data
7 and looked at it.

8 I think with respect to the IV, I think it
9 would have been helpful, as we've said, to
10 understand what is in the liquid that might be
11 injected. I think would be good to understand
12 that. But again, to me, the swelling technology
13 offers some level of deterrence.

14 Finally, I'd just comment on labeling. I
15 think we need to get that right. Thank you.

16 DR. BROWN: Any other comments?

17 (No response.)

18 DR. BROWN: This is what I've heard from the
19 panel. RoxyBond is a unique formulation of IR
20 oxycodone that's been created with abuse-deterrent
21 physicochemical properties. It's meant to deter
22 but not eliminate abuse. The properties are

1 designed to deter inhalation and/or intravenous
2 abuse, and because of the requirement for rapid
3 availability, this formulation would not be
4 expected to deter oral abuse.

5 I think the company, from what I can
6 determine, as we've listened to discussion, lack of
7 easy syringeability was demonstrated by the
8 sponsor, the human abuse studies, the drug liking,
9 the high associated with the drug, and desire to
10 use the drug again mitigate for this being a
11 reasonably effective ADF formulation. All of these
12 findings were statistically significant.

13 I also agree with Dr. Kaye's comments about
14 the injury -- and other people have spoken about
15 this, too -- injury from IV excipients. Certainly
16 when somebody is injecting this, it's not used as
17 directed, but I think folks need to know that some
18 of the excipients may be quite toxic.

19 Is that a reasonable --

20 DR. AIGNER: Mr. Chairman, could I ask for
21 one more minute? We found some data of interest
22 because we had some interesting questions, but

1 if -- around the particle size for the nasal and
2 what it means for absorption.

3 DR. BROWN: I don't really think it's
4 necessary now. I appreciate it, but I think we're
5 fine.

6 Let's go on to question number 2, which is a
7 voting question. If approved, should RoxyBond be
8 labeled as an abuse-deterrent product by the nasal
9 route of abuse?

10 Is that question clear to all the members of
11 the panel, and can we move forward to vote on this
12 after some discussion?

13 Having said that, are there any further
14 points to discuss? We've already discussed this to
15 some extent, but is there any more discussion that
16 anyone would care to have about this particular
17 question?

18 (No response.)

19 DR. BROWN: If not, let me say that we'll be
20 using an electronic voting system for this meeting.
21 Once we begin the vote, the buttons will start
22 flashing and will continue to flash even after you

1 have entered your vote. Please press the button
2 firmly that corresponds to your vote. If you're
3 unsure of your vote or you wish to change your
4 vote, you may press the corresponding button until
5 the vote is closed.

6 After everyone has completed their vote, the
7 vote will be locked in. The vote will then be
8 displayed on the screen. The designated federal
9 officer will read the vote from the screen into the
10 record.

11 Next, we're going to go around the room, and
12 each individual who voted will state their name and
13 vote into the record. You can also state the
14 reason why you voted as you did, if you want to.
15 We will continue in the same manner until all the
16 questions have been answered or discussed.

17 The question before us, if approved, should
18 RoxyBond be labeled as an abuse-deterrent product
19 by the nasal route of abuse?

20 (Vote taken.)

21 LTC BEGANSKY: The vote was 19 yes, 1 no,
22 zero abstain.

1 DR. BROWN: We're going to start with
2 Dr. Amidon. If you could tell us how you voted and
3 give some discussion, if you care to.

4 DR. AMIDON: Yes. This is Greg Amidon, and
5 I voted yes. As I mentioned before, I think via
6 the nasal route, it's been demonstrated that there
7 is some abuse deterrence in the system right now.

8 DR. WALSH: Sharon Walsh, and I voted yes
9 after asking a lot of questions about it. But I
10 think that in whole, the data that were provided to
11 us show that this product demonstrates basically a
12 flipped response compared to what we would expect
13 where an oral formulation given IN would produce
14 higher Cmax and a much faster speed of onset, and
15 here we're seeing a lower exposure. That's it.

16 DR. MORRATO: Elaine Morrato. I also voted
17 yes. I think the design characteristics in terms
18 of the physicochemical properties set up the
19 theoretical basis, and then I was most persuaded by
20 the drug-liking abuse potential studies, and
21 particularly that the direction and magnitude of
22 the effects were comparable to drugs that have been

1 approved with similar indication or claim.

2 DR. CHOUDHRY: Niteesh Choudhry. I voted
3 yes for the reasons already stated.

4 MR. O'BRIEN: Joe O'Brien, and I voted yes.

5 DR. HIGGINS: Jennifer Higgins. I was
6 persuaded by the data to vote yes.

7 DR. GALINKIN: Jeff Galinkin. I voted yes
8 based on PK data and the likeability data. I
9 thought those were very persuasive.

10 DR. McCANN: Mary Ellen McCann. I voted
11 yes.

12 DR. ZACHAROFF: Kevin Zacharoff. I voted
13 yes, and that was based on the data that was
14 presented as well as my review of the final
15 guidance provided by the FDA to the sponsor. I
16 thought they did what was requested of them as per
17 the guidance.

18 DR. SHOBN: Abby Shoben. I voted yes.

19 DR. BATEMAN: Brian Bateman. I voted yes
20 for the reasons stated.

21 DR. BROWN: Rae Brown. I voted yes.

22 DR. CRAIG: Dave Craig. I voted yes for

1 some of the same reasons that everybody has already
2 mentioned, primarily because of the similarities
3 with the other currently approved products.

4 Dr. Emala mentioned it quite eloquently.

5 Comparatively, I think it's very, very similar to
6 what we currently have on the market, and it's
7 better than what the other alternative is.

8 DR. WARHOLAK: Terri Warholak, and I voted
9 yes.

10 DR. GUPTA: Anita Gupta. I voted yes.

11 DR. LITMAN: Ron Litman. I voted no. Let
12 me explain. I do agree with a lot of what
13 everybody said here about how much more difficult
14 it is to snort it. There's no question that data
15 is real.

16 But the reason I voted no is because I'm not
17 convinced what deterrence means. And I do
18 not -- as we talked about, as I asked Dr. Dart
19 before, I'm not convinced that abuse-deterrent
20 formulations are effective. I don't think -- if
21 it's going to affect a very small population of
22 opioid users, I just can't see that making a big

1 difference in the overall spectrum of use.

2 I know that it's a very difficult thing to
3 try and project into the future. The FDA has said
4 that they consider ADFs as one possible prong in
5 the fight against opioid abuse.

6 I just think that there's so much money at
7 stake here. If you look at some of the state
8 legislatures now that have either passed or are
9 considering laws that physicians have to prescribe
10 an ADF when it's available, it's no surprise that
11 so many different companies and people are jumping
12 on this bandwagon.

13 The horse may be out of the barn. Is that
14 the saying? I'm from New York. I don't know.

15 (Laughter.)

16 DR. LITMAN: That may be true, but I'm just
17 not convinced that we could label something as
18 abuse-deterrent when we don't really know if it is
19 or not. I certainly haven't seen the evidence.
20 All the organizations that came up to speak
21 publicly today, I'm looking them up as they're
22 speaking, and they're all supported by the drug

1 companies. I don't remember which gentleman, but
2 said that he did not receive any compensation for
3 being here, displays Inspirion's logo on their
4 website as a supporter.

5 So I think ADFs are a red herring, a
6 distraction, from the real problems that underlie
7 the opioid crisis, and I had to vote no on a
8 philosophical basis there.

9 DR. EMALA: Charles Emala. I voted yes for
10 reasons already stated.

11 DR. SCHMID: Chris Schmid. I voted yes.

12 DR. KAYE: Alan Kaye. I voted yes for
13 reasons previously stated.

14 DR. KIBBE: I voted yes because I think the
15 product actually will make it more difficult for
16 some people to use it, but I agree with Dr. Litman.
17 This is not the answer to the opioid abuse problem.
18 It's going to take -- Dr. Kibbe. It's going to
19 take a very large change in the way we approach how
20 we handle individuals who are addicted to abusable
21 drugs, and this is just perhaps temporary but not
22 the final answer.

1 DR. BROWN: We have question number 2. If
2 approved, should RoxyBond be labeled as an
3 abuse-deterrent product by the intravenous route of
4 abuse?

5 Is the question before us clear to the
6 members of the panel? Can we move forward with the
7 vote on this?

8 (No response.)

9 DR. BROWN: If so, are there any discussion
10 points that anyone would like to make prior to the
11 time that we go to a vote?

12 (No response.)

13 DR. BROWN: Hearing none, we're going to
14 once again use our electronic voting system. Once
15 we begin the vote, the buttons will start flashing
16 and will continue to flash even after you've
17 entered your vote.

18 (Vote taken.)

19 LTC BEGANSKY: The vote was 16 yes, 4 no,
20 zero abstain.

21 DR. BROWN: So at this time, we're going to
22 start again with Dr. Amidon down on my right and go

1 around the table.

2 DR. AMIDON: Yes. This is Greg Amidon, and
3 based on the discussion today and the data
4 presented, I voted yes. I believe that the
5 technology does offer some abuse deterrence.

6 DR. WALSH: Sharon Walsh, and I voted yes,
7 mostly because of the in vitro data that looked at
8 dissolution of the drug in solutions and in the
9 gelling properties of the product.

10 DR. MORRATO: This is Elaine Morrato. I
11 voted yes for the same reasons. I do understand,
12 though, it's rather subjective to say how much is
13 more challenging or not for a dedicated user versus
14 a naive user. So I think that is difficult, but if
15 I think about the standards, as Dr. Kibbe and
16 others are talking about, I think it for me met
17 that threshold of deterrence.

18 DR. CHOUDHRY: Niteesh Choudhry. I also
19 voted yes. I think it's important to acknowledge,
20 as many have, that there's a lot we don't know, and
21 I suspect that the no voters were concerned about
22 some of those things. I think many of us, myself

1 included, are as well.

2 That said, there is a standard to be met.
3 There are certain issues that they presented or
4 rather data that has been presented in terms of
5 recoverability in solution and the gelling
6 formulations that make it compelling for me. So
7 that's why I voted yes.

8 MR. O'BRIEN: Joe O'Brien. I voted yes.
9 Based on what's being asked, and from what I can
10 see and listen to, it appeared to me that that was
11 the appropriate response for that.

12 DR. HIGGINS: Jennifer Higgins. I voted
13 yes.

14 DR. GALINKIN: Jeff Galinkin. I voted yes
15 based on the fact that the syringeability was much
16 more difficult and the fact that much larger
17 volumes were required in order to get this into a
18 form which you could actually syringe. I thought
19 those were important features.

20 DR. McCANN: Mary Ellen McCann. I voted no
21 for reasons I stated before, I don't think it's
22 very difficult to get two-thirds of this drug

1 available. Easy way to compensate for that is to
2 use two tablets. I think that if you really wanted
3 to abuse this drug, it's relatively easy to do it
4 in an IV fashion. Thank you.

5 DR. ZACHAROFF: Kevin Zacharoff. I voted
6 yes, but I would agree with earlier comments about
7 strongly warning about at least the lack of knowing
8 what the possible negative outcomes could be
9 related to intravenous administration of this
10 medication and particularly the excipients.

11 I did my own research. There's not a lot of
12 data that I could find regarding intravenous
13 administration of methyl methacrylate, and I'm
14 quite concerned about possible negative outcomes
15 relating to that. So I would want that to be in
16 the label.

17 DR. SHOBEN: Abby Shoben. I voted yes.

18 DR. BATEMAN: Brian Bateman. I voted yes.
19 I think while highly motivated, sophisticated
20 abusers can overcome the abuse-deterrent features
21 of the drug, I think it's reasonable to think that
22 the properties of the drug, particularly the

1 difficulties in syringeability, will deter at least
2 some individuals considering abusing this by the IV
3 route.

4 DR. BROWN: Rae Brown, and I voted yes
5 because I think that, especially for early users of
6 drugs like this, that a multistep process will
7 prevent many of them from going on to this
8 mechanism of abuse, and that is something that I
9 think is important.

10 DR. CRAIG: Dave Craig. I voted yes
11 primarily because of the inability to syringe the
12 product that you could create by grinding. It was
13 pretty convincing to me.

14 I agree with Dr. Zacharoff's comments
15 regarding a special warning or some way to identify
16 the excipients injectable. We were here just
17 recently two days talking about TTP with PEO. I
18 think that that's a real concern for products like
19 this, especially when they're intended not to be
20 used that way. I know it's hard to control for all
21 of those potential problems, but I would support a
22 black box warning or some special warning regarding

1 the potential for harm with injectable.

2 DR. WARHOLAK: Terri Warholak, and I voted
3 no. Mostly, I'm conflicted. I'm worried about
4 several things. One of the issues is that I was
5 able to find product-specific information online on
6 how to step through the process for IV drug abuse
7 right now, and it's not even on the market yet. It
8 doesn't seem that difficult, so I'm really worried
9 about that.

10 I'm also really worried about the excipients
11 when injected and the possible consequences of
12 that. I really support what Dr. Zacharoff said
13 about the labeling. That has got to be watched
14 very closely and very clear to prescribers and
15 patients, that if abused in the IV route, there is
16 perhaps a potential.

17 DR. GUPTA: Anita Gupta. I voted no. I
18 have to really say that I think the FDA and the
19 industry partnered in an excellent presentation in
20 presenting compelling evidence that it is abuse
21 deterrent for intravenous use. But I really think
22 that the product had compelling questions in my

1 mind about, one, the excipients, as you've heard.

2 There is a fear of unknown that I could not
3 come to a decision on regarding the various
4 excipients, and there were a lot of unanswered
5 questions on what the risks were that I just was
6 not comfortable with voting yes for.

7 Second, I think that the syringeability
8 issue, although compelling evidence was presented
9 that it could become a viscous product, the volume
10 of that could easily be changed, as we heard from
11 Dr. McCann. If you had several tablets, it could
12 easily be manipulated. So those are the reasons
13 why I voted for no.

14 DR. LITMAN: Ron Litman. I voted no again
15 for essentially the same philosophical reasons as
16 before, but even more so with IV. The way I look
17 at these types of users, if you're going to become
18 addicted to opioids based on starting pills, and at
19 some point, you're going to jump over to
20 intravenous, I think it's just too late. And I
21 don't think this formulation will make a
22 difference.

1 DR. EMALA: Charles Emala. I voted yes
2 because of the difficulty in syringeability.
3 Regarding some of the comments made about the
4 multistep process, I'm somewhat reassured that that
5 data is done in volumes that are not really
6 appropriate or at least easy for IV administration.

7 DR. SCHMID: Chris Schmid. I voted yes.
8 There is no IR formulation on the market right now
9 with any abuse deterrence whatsoever. This may not
10 be perfect, but it's a start, and it's a step in
11 the right direction. And it does deter to some
12 extent.

13 DR. KAYE: Alan Kaye. I voted yes for
14 reasons mentioned. Just a strong comment about a
15 black box warning would be a great idea. Thanks.

16 DR. KIBBE: Art Kibbe. I voted yes.
17 There's no doubt in my mind that if someone finds a
18 way of defeating this product and tries to make an
19 injectable out of it, they will take in a
20 significant amount of excipients, which are not
21 compatible with the body, and they will suffer
22 serious side effects.

1 I think one of the best deterrents of
2 abusing this product IV is to let the opioid-using
3 community know that they're not just getting a
4 high, they're getting kidney damage and liver
5 damage and lung embolisms, and they'll go some
6 other way.

7 DR. BROWN: So we're going to move on to our
8 last question. This is a question for vote again.
9 The question is, should RoxyBond be approved for
10 the management of pain severe enough to require an
11 opioid analgesic for which alternative treatments
12 are inadequate?

13 Is that question clear to the members of the
14 panel? Dr. Galinkin?

15 DR. GALINKIN: I believe -- correct me if
16 I'm wrong. Roxycodone is actually labeled in
17 children as well, and I understand only 1 percent
18 of the drug is given. But in the postoperative
19 population, which my colleagues can confirm,
20 single-entity oxycodone is the primary drug that is
21 prescribed for postoperative pain.

22 Since this is essentially a bioequivalence

1 formulation study, are we also talking this
2 approval for kids as well?

3 DR. FIELDS: Hi. It's Ellen Fields, FDA.
4 Although Roxicodone is used a lot in children, it's
5 not labeled for children. The current label
6 doesn't include pediatrics.

7 DR. BROWN: Dr. Choudhry?

8 DR. CHOUDHRY: A clarifying question for the
9 FDA, I think. This is a question about whether or
10 not we are recommending that this product be
11 approved. We talked a lot today about safety and
12 abuse-deterrence approval presumably has other
13 characteristics beyond that.

14 Can you just help us understand whether or
15 not the data we've received today and reviewed
16 today in the briefing documents is the totality of
17 what we would need to answer this question?

18 DR. HERTZ: We thought so when we put it all
19 together. When a product comes in and wants to
20 demonstrate safety and efficacy for the proposed
21 indication, there's a number of different ways to
22 do that. One is through a comparison with an

1 approved product that has the same planned labeling
2 to show that you're bioequivalent.

3 In that setting, if we have a particular
4 reason to get safety data that we think might be
5 formulation specific, we'll sometimes ask for that.
6 But if it's otherwise bioequivalent for the active
7 drug, we don't generally require efficacy data or
8 safety data in the context of the active
9 ingredient.

10 DR. BROWN: This question is far removed
11 from considerations of abuse potential and lack
12 thereof, so we're looking at whether or not this
13 formulation meets the criteria of being an
14 analgesic, not whether we're approving it for being
15 an abuse-deterrent formulation.

16 Yes, ma'am?

17 DR. MORRATO: Elaine Morrato. We can assume
18 then that this labeled wording for the indication
19 is what's the approved indication right now for
20 Roxicodone, and are we bioequivalent to it is the
21 question, really.

22 DR. HERTZ: Yes. We recently in the last

1 few months -- I think it was; time is
2 flying -- underwent a very major labeling revision
3 for the immediate-release opioids, and that
4 included Roxicodone. The indications were changed,
5 and there's, I believe, a limitation of use
6 statement as well.

7 So this would get the same labeling. It
8 would get all of the existing boxed warnings and
9 other warnings, and any additional information we
10 decided based on your input and the data that were
11 presented.

12 DR. BROWN: Are there any other comments?
13 Dr. Gupta?

14 DR. GUPTA: Clarify what formulation we're
15 talking about. Are we talking about nasal, all, or
16 PO? What are we approving for? Are we just
17 approving it for pain, or what formulation are we
18 talking about?

19 DR. HERTZ: Just the pill that was
20 presented. So the approval would be for taking the
21 pill according to the label directions for the
22 indication proposed.

1 DR. BROWN: Any other comments? Dr. Kibbe?

2 DR. KIBBE: Dr. Kibbe. I'll get on one of
3 my pet peeves. This is not a pill. This is a
4 tablet. Pills are made a very specific way, and
5 this is not the way they're made. Okay. That's
6 one.

7 The second is I'm not convinced that this is
8 a pure immediate release. The immediate release by
9 definition is that the dosage form itself does not
10 interfere with the release of the drug, and this
11 dosage form does, in very specific situations, but
12 it still does. And I think it probably slows the
13 release compared to the reference product, but not
14 sufficiently to get it out of a bioequivalency
15 relationship.

16 The dissolution requirements in the USP are
17 very specific, and I assume that the agency will
18 look at those requirements and compare it to the
19 data that they get from the sponsor and determine
20 whether it's truly an immediate release or is
21 acceptable as an immediate release even though it's
22 not technically an immediate release.

1 Other than that, I don't have any problem
2 with the wording, and I think we should move
3 forward and all of us go home early.

4 DR. HERTZ: So for the record, I'd like to
5 correct my earlier statement, and this tablet --

6 (Laughter.)

7 DR. HERTZ: -- is the formulation under
8 consideration. Thank you. We should be accurate.
9 We do this for a living.

10 Regarding as you think about this question
11 about whether it should be approved for that
12 indication, given your comments, yes, we will look
13 at all of the dissolution criteria. And in terms
14 of determining whether or not the formulation is IR
15 or ER, immediate release or extended release, or
16 some other type of modified release, yes, our
17 chemists will look at all that.

18 Regardless of what that final determination
19 is, perhaps you can weigh in on whether or not you
20 think it should be approved.

21 DR. KIBBE: Oh, I do. It's not specifically
22 an immediate release the way we define it.

1 DR. HERTZ: I understood your point.

2 DR. KIBBE: And I don't know whether that's
3 worth including in the overall labeling or not.

4 DR. HERTZ: We'll ask for folks to address
5 that in their reviews and whether or not it should
6 be included in the labeling. Thanks.

7 DR. BROWN: If there's no further discussion
8 on this question, we'll now begin the voting
9 process. Please press the button on your
10 microphone that corresponds to your vote. Again,
11 the question is, should RoxyBond be approved for
12 the management of pain severe enough to require an
13 opioid analgesic and for which alternative
14 treatments are inadequate?

15 Please press the button firmly. After you
16 have made your selection, the light may continue to
17 flash. If you're unsure of your vote, if you wish
18 to change your vote, please press the corresponding
19 button again before the vote is closed.

20 (Vote taken.)

21 LTC BEGANSKY: The vote is 19 yes, zero no,
22 1 abstain.

1 DR. BROWN: Now that the vote is complete,
2 we're going to go around the table and have
3 everyone who voted state their name, their vote,
4 and if you want to, you can state the reason why
5 you voted as you did in the record. And just for
6 grins, I'm going to start with Dr. Kibbe.

7 DR. KIBBE: Well, I'm glad I can make you
8 grin.

9 I voted yes because I think that's the
10 standard use of the active ingredient in the
11 product, and most of my concerns were about the
12 dosage form and not the active ingredient. The
13 active ingredient is for pain, and that's what
14 we're approving it for. I'm sure the agency will
15 look into whether it should be labeled as immediate
16 release, modified release, or partially modified
17 release. Thank you.

18 DR. KAYE: Alan Kaye. I voted yes, and for
19 all the reasons we've discussed throughout the day.
20 I'll leave it at that.

21 DR. SCHMID: Chris Schmid. I voted yes for
22 all the reasons we've discussed and what I

1 mentioned before.

2 DR. EMALA: Charles Emala. I voted yes.
3 I'll just add as a broken record that I think the
4 agency and the industry should be very careful to
5 learn more about what's being extracted and
6 potentially injected.

7 The agency did some elegant studies with PEO
8 in animal models, and that would be my only
9 hesitation in voting yes, is that I think this
10 should be looked at before this is actually
11 formally on the market.

12 DR. LITMAN: Ron Litman. I abstained
13 because I just couldn't decide which one. I agree
14 with those who voted yes because it clearly,
15 according to the pharmacological studies, will work
16 just fine as an opioid to treat severe pain. But
17 on the other hand, I just couldn't find it
18 philosophically to vote yes because I just think
19 that having another approved ADF on the market will
20 just detract. Even if it's not labeled as an ADF,
21 it's still formulated as one, and that's the
22 message that gets out.

1 DR. GUPTA: Anita Gupta. I voted yes. I
2 was impressed by the presentations. I thought
3 there was enough evidence to state that this may be
4 an innovative progression of opioid products that
5 may offer an incremental advantage, an option for
6 patients who have pain.

7 I think there are certainly unanswered
8 questions, as I've already mentioned, regarding the
9 excipients. There certainly is still a risk of
10 abuse, and this certainly is not entirely without
11 that risk. But I am excited to know that there is
12 some innovation occurring, that there is some type
13 of promise with this technology, and that's why I
14 voted yes.

15 DR. WARHOLAK: Terri Warholak. I voted yes.

16 DR. CRAIG: Dave Craig. I voted yes.

17 DR. BROWN: Rae Brown, and I voted yes, and
18 I have a couple of comments. Actually, they're
19 both the same.

20 I think this is an important formulation,
21 and despite the fact that your data show very few
22 uses for this in children for oxycodone products,

1 my guess is that this will become the go-to product
2 for treatment of pain in children.

3 Because of that, I think that it's incumbent
4 on the agency to place a special pressure within
5 the agency to look at the excipients in this drug
6 in the same way that we looked at the excipients in
7 Opana, because if it's used in children and
8 somebody has the crazy idea to inject it, then that
9 could be a significant problem.

10 DR. BATEMAN: Brian Bateman. I voted yes.
11 I would just say I think this medication represents
12 a really important advance as the first
13 immediate-release opioid with properties intended
14 to deter abuse. While it's not perfect, it does
15 provide at least some barrier to abuse by
16 intravenous and intranasal routes, and therefore,
17 really meets an important public health need.

18 DR. SHOBNEN: Abby Shoben. I voted yes, and
19 I was about to say all the same things Dr. Bateman
20 just said. He just said it better than I would
21 have.

22 DR. ZACHAROFF: Kevin Zacharoff. I voted

1 yes, and just a couple of quick comments with
2 respect to that yes. And that would be that if
3 this drug ends up being labeled as an abuse-
4 deterrent product, that there be something that is
5 given in the label to help prescribers decide which
6 patients are appropriate candidates for an abuse-
7 deterrent formulation versus a non-abuse-deterrent
8 formulation, assuming that that non-abuse-deterrent
9 formulation is still available on the market.

10 I think there's a lot of lack of clarity at
11 the general prescriber level who are prescribing
12 these medications as to what that actually means.

13 The other thing I think that will come into
14 play didn't come up at this meeting, nor should it
15 have, is the idea of what the cost incentive and
16 formulary acceptance will be for this medication in
17 an abuse-deterrent formulation versus one that's
18 available in a non-abuse-deterrent formulation.
19 All things being equal with respect to cost, that
20 may be an opposing factor, but if they're not, that
21 end up being opposing factor as well.

22 DR. McCANN: Mary Ellen McCann. I voted yes

1 for the reasons previously stated.

2 DR. GALINKIN: Jeff Galinkin. I voted yes,
3 and I would like to say that I would really urge
4 the agency to make sure that they take this
5 opportunity to look at this drug for children. It
6 has become the primary drug for postoperative pain
7 at most of the major children's hospitals in part
8 because the American Academy of Pediatrics has
9 really specified that combination tablets are
10 something to be avoided to avoid the use of Tylenol
11 because parents tend to give Tylenol plus the
12 Tylenol and Percocet. Primary oxycodone has become
13 one of our big postoperative drug that we do use,
14 and we'd like to see it studied.

15 DR. HIGGINS: Jennifer Higgins. It's a
16 qualified yes. I have a few comments. I say that
17 if it is labeled abuse deterrent, there really
18 needs to be, and I believe there will be,
19 postmarketing data collected and participation in
20 the REMS.

21 I think also there should be some age
22 stratification analysis completed as we had

1 mentioned earlier today, and I'd like to see a
2 review of the public health effects of the AD
3 products in general.

4 MR. O'BRIEN: Joe O'Brien. I voted yes. My
5 response actually went from the simple to the
6 complex in my mind. The simple was from a
7 technical perspective, it seemed to me that it
8 certainly equaled what was out in the market right
9 now.

10 As we got more complex, I think
11 philosophically I agree with Dr. Litman that I
12 don't think this is the answer, and it has not
13 shown to be the answer to the epidemic that we do
14 have. I think the reality in getting to the more
15 complex and real world and practical world as a
16 patient and representing the patient community,
17 what's that going to mean from a practical
18 perspective in terms of now being forced into
19 another area that will cost more money in the end.
20 But philosophically, it helps those that -- if it
21 can help one, then I guess it's worth doing, but I
22 do have concerns from both safety and a cost

1 perspective.

2 DR. CHOUDHRY: Niteesh Choudhry. I voted
3 yes as well, and just to build on what Dr. Higgins
4 was saying, I think this is a real opportunity for
5 the agency to think about the postmarketing space.
6 And there are fundamental questions about whether
7 IR abuse deterrents actually do anything or not
8 just holistically, and then the underlying basic
9 science of the relationship between drug liking PK
10 and then ultimate abuse. This sort of formulation
11 allows for that opportunity to figure out that
12 science, and so I'd urge that those things be high
13 on the agenda.

14 DR. MORRATO: Elaine Morrato. I voted yes
15 for many of the comments that have already been
16 shared. I'll just focus on thoughts that I have as
17 it might move forward as well. I want to
18 underscore everything that Dr. Choudhry has said
19 about the postmarketing space and knowledge with
20 that.

21 That's part of the reason why I was
22 comfortable voting yes is because I know there's a

1 lot of effort that's going on in the FDA in terms
2 of approaching this as a public health initiative
3 evaluation and so forth.

4 I am also reassured with the labeling in
5 what we saw in our briefing document of excerpts
6 from labeling of others so that there's
7 transparency. It's not just a claim of abuse
8 deterrent, but the evidence that is there that
9 supports it is transparent so people can understand
10 what that's based on and maybe make their own
11 judgement.

12 The piece I did want to raise -- because
13 these things that we heard today will likely be
14 translated into promotional claims, and the agency
15 also plays a role in oversight in promotional
16 claims. So I would want to be careful in not
17 expecting to see difficulty scores that aren't
18 validated being part of promotional activity
19 because that implies greater level of abuse
20 deterrence than I think the data warranted with
21 that score.

22 I'm also a little hesitant or skeptical

1 about the GI tract claim. It seemed to me that
2 this was a product that's formulation was pH
3 dependent. That's not the entire GI tract, so I
4 think that would need to be more specific, too.

5 I say this because when someone hears abuse
6 deterrent, that can mean many things and much
7 larger, and I think we need to be -- because this
8 is just one piece of a larger, incremental mosaic
9 of activity, being very clear as to what this is
10 and what it isn't.

11 Then I would underscore also what others are
12 saying about the excipients, and I would leave it
13 to the FDA whether or not it's a premarket
14 requirement or postmarket requirement. I think
15 it's a unique problem in that this safety problem
16 arises from misuse and adulteration or misuse of
17 the products. So I know that's a difficult space
18 on how to regulate approval, but I think because
19 there's prior evidence with other drugs, there is
20 some precedent to be concerned about this.

21 DR. WALSH: I'm Sharon Walsh, and I voted
22 yes because I think the criteria for the 505(b)

1 pathway were met with the bioequivalence data.

2 DR. AMIDON: Greg Amidon. I voted yes based
3 on the pharmacokinetic data and the discussion we
4 had. Perhaps this is a bit out of scope, but I was
5 intrigued by the idea that perhaps guidance could
6 be given as to which patient population this might
7 be most appropriate for. And I'm thinking about
8 that in the context of diversion. This is not a
9 question just of safety for the patient, but for
10 families, for all the possibilities for diversion
11 and perhaps something to consider.

12 DR. BROWN: Do we have any comments before
13 we adjourn from our industry representatives?

14 (No response.)

15 DR. BROWN: Panel members, before we
16 adjourn, are there any last comments from the FDA?

17 DR. HERTZ: I know every chance I get to
18 speak, I thank you all for being here, but I really
19 mean it because I do understand how disruptive
20 coming back and forth to these meetings can be for
21 the important work that you're doing, be it
22 practice, research, what have you. The time spent

1 here, the time spent traveling, we understand that
2 that it's not inconsequential. These discussions
3 continually bring up important thoughts and ideas
4 for us to take back and incorporate. So for the
5 last time today, thank you again.

6 **Adjournment**

7 DR. BROWN: Thanks, Dr. Hertz.

8 Panel members, please take all of your
9 personal belongings with you as the room is cleaned
10 at the end of the day. All materials left on the
11 table will be disposed of. Please also remember to
12 drop off your name badge at the registration table
13 on your way out.

14 We'll now adjourn the meeting. Thank you
15 for coming.

16 (Whereupon, at 3:02 p.m., the open session
17 was adjourned.)

18

19

20

21

22