

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

PEDIATRIC SUBCOMMITTEE OF THE
ONCOLOGIC DRUGS ADVISORY COMMITTEE MEETING

Topic 3

Wednesday, June 21, 2017

1:10 p.m. to 2:25 p.m.

FDA White Oak Campus
The Great Room
10903 New Hampshire Avenue
Silver Spring, Maryland

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Lauren Tesh, PharmD, BCPS**

4 Division of Advisory Committee and Consultant Management

5 Office of Executive Programs, CDER, FDA

6

7 **ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)**

8 **Alberto S. Pappo, MD**

9 *(Chairperson, pedsODAC)*

10 Member and Head, Division of Solid Malignancies

11 St Jude Children's Research Hospital

12 Professor of Pediatrics

13 University of Tennessee Health Science Center

14 Memphis, Tennessee

15

16 **Courtney J. Preusse, MA**

17 *(Consumer Representative)*

18 Senior Research Administrator and CLIA Operations

19 Director

20 Fred Hutchinson Cancer Research Center

21 Seattle, Washington

22

1 **Bruce J. Roth, MD**

2 Department of Internal Medicine

3 Division of Medical Oncology

4 St. Louis, Missouri

5

6 **ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS**

7 **(Non-Voting)**

8 **Phuong Khanh (P.K.) Morrow, MD, FACP**

9 *(Industry Representative)*

10 Executive Medical Director, Amgen Oncology

11 Therapeutic Area Head, US Medical Organization

12 One Amgen Center Drive

13 Thousand Oaks, California

14

15

16

17

18

19

20

21

22

1 **TEMPORARY MEMBERS (Voting)**

2 **Anne L. Angiolillo, MD**

3 *(Participation in Day 1, Topic 3, Participation in*
4 *Day 2)*

5 Director, Leukemia & Lymphoma Program

6 Division of Oncology

7 Center for Cancer and Blood Disorders

8 Children's National Medical Center

9 Professor of Pediatrics

10 The George Washington University School of

11 Medicine and Health Sciences

12 Washington, District of Columbia

13

14 **Carola A. S. Arndt, MD**

15 Professor of Pediatrics and Consultant

16 Division of Pediatric Hematology/Oncology

17 Department of Pediatric and Adolescent Medicine

18 Mayo Clinic College of Medicine and Science

19 Rochester, Minnesota

20

21

22

1 **Steven G. DuBois, MD**

2 *(Participation in Day 1)*

3 Director, Experimental Therapeutics

4 Dana-Farber/Boston Children's Hospital

5 Associate Professor of Pediatrics

6 Harvard Medical School

7 Boston, Massachusetts

8

9 **Lia Gore, MD**

10 *(Participation in Day 1, Topics 1 and 3)*

11 Professor of Pediatrics, Medical Oncology, and

12 Hematology

13 Chief, Pediatric Hematology/Oncology/Bone

14 Marrow Transplant

15 The Robert J. and Kathleen A. Clark Endowed Chair in

16 Pediatric Cancer Therapeutics and the Ergen

17 Chair in Pediatric Oncology

18 Children's Hospital Colorado, Center for Cancer and

19 Blood Disorders

20 Aurora, Colorado

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Richard G. Gorlick, MD

Division Head and Chair, Pediatrics
Robert A. Mosbacher Chair of Pediatrics
The University of Texas MD Anderson
Children's Cancer Hospital
Houston, Texas

Leo Mascarenhas, MD, MS

Deputy Director
Children's Center for Cancer and Blood Diseases
Section Head - Oncology, Associate Professor of
Pediatrics
Division of Hematology, Oncology, and Blood and
Marrow Transplantation
Children's Hospital Los Angeles
Keck School of Medicine, University of Southern
California
Los Angeles, California

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Elizabeth A. Raetz, MD

Professor of Pediatrics
Medical Director, Leukemia and Lymphoma
Program
Pediatric Hematology/Oncology
University of Utah
Huntsman Cancer Institute
Primary Children's Hospital
Salt Lake City, Utah

Brenda J. Weigel, MD, MSc

Professor
Division Director, Pediatric Hematology/Oncology
University of Minnesota
Developmental Therapeutics Chair
Children's Oncology Group
Minneapolis, Minnesota

1 **FDA PARTICIPANTS (Non-Voting)**

2 **Gregory Reaman, MD**

3 Associate Office Director

4 Associate Director for Pediatric Oncology

5 Oncology Center of Excellence

6 Office of Hematology and Oncology

7 Products (OHOP)

8 Office of New Drugs (OND), CDER, FDA

9

10 **Ashley Ward, MD**

11 *(Participation in Day 1, Topic 3)*

12 Medical Officer

13 DHP, OHOP, OND, CDER, FDA

14

15

16

17

18

19

20

21

22

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Topic 3: ASP2215 (Gilteritinib)	
4	Conflict of Interest Statement	
5	Lauren Tesh, PharmD, BCPS	10
6	Industry Presentations - Astellas Pharma	
7	Gilteritinib for Treatment of Pediatric	
8	Patient with FLT3/ITD AML	
9	Andrew Krivoshik, MD, PhD	16
10	Clarifying Questions from Subcommittee	31
11	Questions to the Subcommittee and Discussion	57
12	Adjournment	69
13		
14		
15		
16		
17		
18		
19		
20		
21		
22		

P R O C E E D I N G S

(1:10 p.m.)

DR. PAPP0: Good afternoon. We're going to get started. We will now proceed with topic 3, ASP2215, gilteritinib, from Astellas Pharma Global Development, Incorporated. Dr. Lauren Tesh will read the conflict of interest statement for this session.

Conflict of Interest Statement

DR. TESH: The Food and Drug Administration is convening today's meeting of the Pediatric Subcommittee of the Oncologic Drugs Advisory Committee under the authority of the Federal Advisory Committee Act of 1972.

With the exception of the industry representative, all members and temporary voting members of the committee are special government employees or regular federal employees from other agencies and are subject to federal conflict of interest laws and regulations.

The following information on the status of this committee's compliance with the federal ethics

1 and conflict of interest laws, covered by but not
2 limited to those found at 18 U.S.C. Section 208, is
3 being provided to participants in today's meeting
4 and to the public. FDA has determined that members
5 and temporary voting members of this committee are
6 in compliance with federal ethics and conflict of
7 interest laws.

8 Under 18 U.S.C. Section 208, Congress has
9 authorized FDA to grant waivers to special
10 government employees and regular federal employees
11 who have potential financial conflicts, when it is
12 determined that the agency's need for a special
13 government employee's services outweighs his or her
14 potential financial conflict of interest or when
15 the interests of the regular federal employee is
16 not so substantial as to be deemed likely to affect
17 the integrity of the services which the government
18 may expect from the employee.

19 Related to the discussion of today's
20 meetings, members and temporary voting members of
21 the committee have been screened for potential
22 financial conflicts of interest of their own, as

1 well as those imputed to them, including those of
2 their spouses or minor children and, for purposes
3 of 18 U.S.C. Section 208, their employers.

4 These interests may include investments,
5 consulting, expert witness testimony, contracts,
6 grants, CRADAs, teaching, speaking, writing,
7 patents and royalties, and primary employment.

8 This session's agenda involves information
9 to gauge investigator interest in exploring
10 potential pediatric development plans for three
11 products in various stages of development for adult
12 cancer indications. The subcommittee will consider
13 and discuss issues concerning diseases to be
14 studied, patient populations to be included, and
15 the possible study designs in the development of
16 these products for pediatric use.

17 The discussion will also provide information
18 to the agency pertinent to the formulation of
19 written requests for pediatric studies if
20 appropriate.

21 The product under consideration for this
22 session is ASP2215, gilteritinib, presentation by

1 Astellas Pharma Global Development, Inc. This is a
2 particular matters meeting during which specific
3 matters related to Astellas Pharma's product will
4 be discussed.

5 Based on the agenda for today's meeting and
6 all financial interests reported by the committee
7 members and temporary voting members, a conflict of
8 interest waiver has been issued in accordance with
9 18 U.S.C. Section 208(b)(3) to Dr. Carola Arndt.

10 Dr. Arndt's waiver involves her stockholding
11 in a potentially competing firm. The waiver allows
12 this individual to participate fully in today's
13 deliberations. FDA's reasons for issuing the
14 waivers are described in the waiver documents,
15 which are posted on the FDA's website.

16 Copies of the waiver may also be obtained by
17 submitting written requests to the agency's Freedom
18 of Information Division, 5630 Fishers Lane,
19 Room 1035, Rockville, Maryland 20857 or requests
20 may be sent via fax to (301) 827-9267.

21 To ensure transparency, we encourage all
22 standing members and temporary voting members to

1 disclose any public statements that they may have
2 had concerning the product at issue.

3 With respect to FDA's invited industry
4 representative, we would like to disclose that
5 Dr. P.K. Morrow is participating in this meeting as
6 a non-voting industry representative, acting on
7 behalf of regulated industry. Dr. Morrow's role at
8 this meeting is to represent industry in general
9 and not any particular company. Dr. Morrow is
10 employed by Amgen.

11 We would like to remind members and
12 temporary voting members that if the discussion
13 involves any other products or firms not already on
14 the agenda for which an FDA participant has a
15 personal or imputed financial interest, the
16 participants need to exclude themselves from such
17 involvement and their exclusion will be noted for
18 the record.

19 FDA encourages all other participants to
20 advise the committee of any financial relationships
21 that they may have with the firm at issue. Thank
22 you.

1 DR. PAPPO: Thank you, Dr. Tesh.

2 Both the Food and Drug Administration and
3 the public believe in a transparent process for
4 information-gathering and decision-making. To
5 ensure such transparency of the advisory committee
6 meeting, the FDA believes that it is important to
7 understand the context of an individual's
8 presentation.

9 For this reason, the FDA encourages all
10 participants, including the applicant's non-
11 employee presenters, to advise the committee of any
12 financial relationships that they may have with the
13 firm at issue such as consulting fees, travel
14 expenses, honoraria, and interest in the applicant,
15 including equity interests and those based upon the
16 outcome of the meeting. Likewise, the FDA
17 encourages you, at the beginning of your
18 presentation, to advise the committee if you do not
19 have any such financial relationships.

20 If you choose not to address this issue of
21 financial relationships at the beginning of your
22 presentation, it will not preclude you from

1 speaking. We will now proceed with Astellas
2 Pharmaceutical's presentation.

3 **Industry Presentation - Andrew Krivoshik**

4 DR. KRIVOSHIK: Thank you. Good afternoon.
5 My name is Andrew Krivoshik, and I'm the vice
6 president of medical oncology sciences at Astellas
7 Pharma. Prior to entering the pharmaceutical
8 industry, I received my clinical training in
9 pediatric and adolescent medicine and pediatric
10 hematology oncology.

11 I'd like to thank the FDA and the panel for
12 the opportunity to present today. We are committed
13 to developing gilteritinib as a treatment for
14 children with AML and look forward to your
15 feedback.

16 We are here today because of the substantial
17 unmet medical need for pediatric treatment options
18 in a particularly rare and deadly form of AML,
19 FLT3/ITD mutated disease.

20 Gilteritinib is a targeted therapy currently
21 in development for the treatment of FLT3-positive
22 AML and has demonstrated significant, durable anti-

1 leukemic activity and appears well tolerated in
2 adults with FLT3-mutated AML. The goal of our
3 proposed pediatric program is to provide sufficient
4 data for a label to inform prescribers.

5 Our proposed pediatric study population is
6 based on our understanding of gilteritinib's
7 mechanism of action as a FLT3 inhibitor and also
8 where we see the greatest potential for clinical
9 benefit. In light of those two factors, we limited
10 the population to children with FLT3-mutated AML.

11 Our proposed pediatric indication is for the
12 treatment of pediatric patients with newly
13 diagnosed or relapsed refractory FLT3-ITD mutation-
14 positive AML.

15 I will describe the disease and the need in
16 our target patient population, gilteritinib's
17 mechanism of action, and finally our proposed
18 pediatric development plan.

19 Now, the disease description and unmet need.
20 AML is a rare, rapidly progressing, and life-
21 threatening cancer of the blood and bone marrow,
22 characterized by proliferation of malignant

1 progenitor cells. When untreated or refractory,
2 AML is often accompanied by severe neutropenia
3 and/or thrombocytopenia and can be rapidly fatal.
4 Even though most newly diagnosed patients do
5 achieve an initial remission, relapse is
6 unfortunately common.

7 In patients with FLT3-ITD mutated AML, only
8 21 percent achieve remission after salvage therapy.
9 The median overall survival is 4.3 months after
10 first relapse and less than 8 weeks after the
11 second.

12 Although only 10 to 20 percent of pediatric
13 patients with AML are FLT3-ITD mutation positive,
14 outcomes are especially poor for these children.
15 While 65 percent of all children with AML are alive
16 at 5 years, only 20 to 30 percent of those with
17 FLT3-ITD mutation live for 5 years. There is no
18 approved targeted therapy for this rare disease in
19 children. In fact, current clinical practice
20 guidelines recommend a clinical trial with a FLT3-
21 targeted therapy. This stands in stark contrast to
22 the progress made to date in pediatric acute

1 lymphocytic leukemia.

2 To summarize, FLT3-ITD mutated AML is a very
3 rare pediatric cancer with extremely poor outcomes
4 and an urgent need for new treatment options.

5 I will now describe gilteritinib's mechanism
6 of action. Gilteritinib is a potent, novel, oral
7 targeted therapy that inhibits several tyrosine
8 kinases with high affinity, most notably FLT3,
9 which is the primary driver for gilteritinib's
10 anti-leukemic effect. Importantly, gilteritinib
11 has relatively low affinity for the off-target
12 kinase c-KIT, which often drives hematologic
13 toxicities.

14 Turning now to our adult development
15 program, looking first at exposure, as of April,
16 there are 16 completed or ongoing clinical trials,
17 179 volunteers, and 574 patients have received at
18 least one dose of gilteritinib.

19 We will present data on 252 patients from
20 study 0101. This is a first-in-human monotherapy
21 dose escalation study in adult patients with
22 relapsed or refractory AML. Gilteritinib doses

1 from 20 to 450 milligrams were explored in a
2 3-plus-3 dose escalation design. The maximum
3 tolerated dose was 300 milligrams per day.

4 Although all cohorts of 300 milligrams and
5 lower were expanded, based on anti-leukemic
6 activity observed in doses 120 and 200 milligrams,
7 these specific cohorts were further expanded to
8 include additional patients with FLT3 mutated AML.

9 Looking at demographics, median age was
10 62 years and 51 percent were male. 76 percent had
11 FLT3-mutated AML, and 64 percent had FLT3-ITD
12 mutated AML. The majority had at least 2 previous
13 therapies and a third had a stem cell transplant. A
14 quarter had already received a TKI, mostly
15 sorafenib.

16 Turning now to patient disposition, the most
17 common reasons for discontinuation were disease
18 progression and lack of response. 14 percent
19 underwent transplant, and a third of those resumed
20 gilteritinib treatment. Gilteritinib exhibited
21 linear and dose-proportional pharmacokinetics at
22 doses ranging from 20 to 450 milligrams.

1 Median t-max was between 2 and 6 hours
2 following single and multiple doses. The estimated
3 half-life ranged from 45 to 159 hours. Steady
4 state was achieved by day 15.

5 Although in patients with wild-type FLT3
6 AML, the CRc rate was only 9 percent. High anti-
7 leukemic activity was noted in patients with FLT3-
8 mutated AML at 37 percent across all doses.

9 For patients with FLT3-positive AML on doses
10 80 milligrams or above, the CRc rate was 41
11 percent. Recall historical CRc rate in FLT3-ITD
12 mutated patients is approximately 21 percent. The
13 median overall survival of 7.1 months compares
14 favorably to the historical survival of 1.5 to
15 4.3 months.

16 Importantly, the majority of the patients
17 treated with gilteritinib were heavily pre-treated.
18 Survival probabilities were 57 percent at 26 weeks
19 and 22 percent at one year. Of particular note,
20 currently 9 patients remain on study drug with the
21 longest on for 3 years.

22 We also looked at molecular response in

1 patients with FLT3-ITD mutated AML to evaluate the
2 quality of the response.

3 An 80-patient subset of the FLT3-ITD
4 population treated with 120 or 200 milligrams of
5 gilteritinib was analyzed. Molecular response was
6 defined as a FLT3-ITD to total FLT3 ratio of less
7 than or equal to 10 to the minus 2. 25 percent of
8 the patients achieved a molecular response.

9 These patients had almost double the length
10 of median overall survival as patients who did not
11 achieve molecular response. In addition,
12 16 percent of the patients had a deeper molecular
13 response. They were minimal residual disease, MRD
14 negative. These data suggest that gilteritinib can
15 induce deep molecular responses as a single agent
16 in heavily pre-treated patients with relapsed
17 refractory AML.

18 Looking at safety, overall gilteritinib
19 appears well tolerated in adult patients with AML.
20 Here are adverse events that emerge on treatment in
21 at least 20 percent of patients, many of which may
22 be associated with their underlying AML.

1 The most common drug-related adverse events
2 were diarrhea, fatigue, and elevated AST. The
3 majority were grade 1 or 2. The most common AE
4 leading to discontinuation was AML or disease
5 progression at 6 percent followed by sepsis at
6 2.8 percent and respiratory failure at 1.6 percent.

7 The most commonly reported AE leading to
8 death was disease progression followed by multiple
9 organ failure, sepsis, and respiratory failure. Of
10 the 95 deaths, 7 were assessed as possibly related
11 to gilteritinib.

12 I'll now discuss four key safety issues with
13 gilteritinib treatment. Two patients developed
14 posterior reversible encephalopathy syndrome, PRES,
15 in study 0101. Symptoms included seizure and
16 altered mental status. Both cases were confirmed
17 by MRI. Gilteritinib was discontinued and altered
18 mental status returned to baseline with no
19 subsequent episodes of seizure.

20 An additional case of PRES was reported
21 during compassionate use and was attributed to
22 intrathecal cytarabine. Gilteritinib was restarted

1 without recurrence of symptoms.

2 Moving now to our electrocardiographic
3 analysis, a concentration-related mean increase in
4 delta QTcFF was observed. As modeling predicted
5 this to be less than 10 milliseconds, clinically
6 relevant QTc prolongation with gilteritinib is not
7 anticipated. Ten percent of patients had a maximum
8 post-baseline QTc interval greater than
9 480 milliseconds.

10 The majority of these patients were
11 concurrently taking at least one concomitant
12 medication with a known risk of QTc prolongation.
13 No cases of Torsade de pointes were reported.
14 Relevant exclusion criteria and electrocardiogram
15 assessments are reflected in our protocols.

16 Moving now to creatine kinase analysis, an
17 exposure-related association between gilteritinib
18 and CK was observed. However, almost all of the
19 observed elevations in CK were grade 1 or grade 2
20 and asymptomatic.

21 The reported incidence of drug-related grade
22 3 adverse events was low at 2.4 percent. Only

1 2 percent had drug-related SAEs. One patient on
2 300 milligrams developed rhabdomyolysis, which
3 resolved after pravastatin and gilteritinib
4 discontinuation. Our clinical study protocols
5 incorporate routine laboratory assessments,
6 including CK.

7 Finally, an exposure-related association
8 between gilteritinib and AST elevation was also
9 observed. The incidence of grade 3 and above liver
10 enzyme elevations was low at 6 percent. None were
11 confirmed as Hy's law cases. Our protocols include
12 standard hepatobiliary monitoring.

13 Now, to briefly summarize our dose selection
14 for phase 3, clinical response plateaus after an
15 initial dose of 80 milligrams. Full-target
16 inhibition occurred in most patients with
17 120 milligrams. Therefore, 120 milligrams was
18 selected as the recommended phase 3 dose.

19 Turning now to our ongoing adult phase 3
20 program, which includes four studies in patients
21 with FLT3-mutated AML, 0301 is in relapse
22 refractory and 0201 is in first-line patients not

1 eligible for intensive induction. Studies 0302 and
2 0304 are for maintenance therapy.

3 To summarize the adult data from study 0101,
4 gilteritinib demonstrated robust anti-leukemic
5 activity in patients with FLT3-mutated AML. Oral
6 treatment was well tolerated. These adult data
7 reassure us it is reasonable to consider studying
8 gilteritinib in children.

9 I will now discuss our proposed pediatric
10 plan. The goal of our pediatric development
11 program is to provide sufficient data to inform a
12 label in this orphan disease population. We are
13 considering two studies with gilteritinib in
14 combination with chemotherapy. We are also
15 conducting a non-clinical juvenile toxicology
16 study, and we are developing a pediatric
17 formulation.

18 We propose using historical control for both
19 studies. We are proposing this based on expert
20 feedback in light of the poor outcomes typically
21 seen with standard therapy, the promising adult
22 data seen with FLT3 inhibitors, and the relatively

1 small number of patients, approximately 100 new
2 cases each year in the United States.

3 Study 0603 would be an open-label, single-
4 arm phase 1/2 study in patients diagnosed with
5 FLT3-ITD mutated AML who have relapsed or
6 refractory to induction therapy. We anticipate
7 enrolling up to 21 patients in phase 1 and
8 40 patients in phase 2; age, 6 months to 21 years.
9 Enrollment of children less than 2 years of age is
10 contingent upon acceptable juvenile toxicology.

11 Gilteritinib would be added to the FLAG-DNX
12 backbone chemotherapy of fludarabine, cytarabine,
13 GCSF, and liposomal daunorubicin. Disease
14 evaluation will be performed after cycle 1 to
15 determine disease progression and recommended dose.

16 After cycle 2, response evaluation will be
17 performed to assess anti-leukemic activity.
18 Phase 1 will establish the recommended phase 2 dose
19 for gilteritinib through a standard 3-plus-3
20 patient cohort dose escalation design.

21 The starting dose in children will be
22 40 milligrams per meters squared. This is

1 approximately equivalent to 80 milligrams daily
2 dose in adults, which is one dose level lower than
3 the starting dose in the adult phase 3 studies.
4 Investigators will be allowed to de-escalate and
5 escalate based on toxicity. The final recommended
6 phase 2 dose will be based on an acceptable safety
7 profile and biologic activity, as measured by
8 plasma inhibitory activity assay.

9 Phase 2 will evaluate CRc rate in a
10 two-stage open-label study of 40 patients. The
11 primary endpoint will compare the CRc rate after
12 two courses of gilteritinib plus chemotherapy
13 against a historical response rate of 35 percent in
14 this population.

15 With a type 1 error rate of 5 percent, we
16 anticipate 80 percent power to detect a 21 percent
17 increase in response rate.

18 Now, let's turn to our second proposed
19 pediatric study. Study 0604 would be an open-label
20 parallel-group phase 2 study in patients newly
21 diagnosed with FLT3-ITD mutated AML. We anticipate
22 enrolling approximately 56 patients per arm, age

1 6 months to 21 years. The starting dose will be
2 the recommended phase 2 dose from study 0603.

3 The current proposal is to enroll from
4 within a larger pediatric oncology cooperative
5 group study. On day 11, children with FLT3-ITD
6 mutated AML will have gilteritinib added to their
7 backbone therapy, depicted here as arms C and D.

8 This plan allows the children to get
9 immediate treatment while awaiting test results.
10 Note that this design is similar to recent
11 pediatric cooperative group trials in this
12 population.

13 In study 0604, gilteritinib will be added to
14 induction and intensification therapies as well as
15 maintenance after chemotherapy or stem cell
16 transplant. Since even with transplant there is a
17 very high risk of relapse, a safety run-in will
18 confirm the tolerability of gilteritinib with each
19 chemotherapy regimen.

20 The goal of study 0604 is to determine the
21 efficacy of gilteritinib in combination with
22 standard treatment relative to historic control.

1 The primary endpoint in study 0604 is two-year
2 event-free survival. Key secondary endpoints
3 include complete remission and overall survival.

4 Here is the proposed timeline for pediatric
5 development. We expect the results of our first
6 adult phase 3 study, 0301, in mid-2018. Pediatric
7 study 0603 would start in late 2018. A pediatric
8 unflavored mini-tablet is expected to be available.

9 Non-clinical juvenile toxicology available
10 by the end of 2018 would hopefully support the
11 inclusion of children 6 months to 2 years. A
12 flavored mini-tablet, which may be suspended in
13 water, is planned for the first half of 2019.
14 Pediatric study 0604 would start in late 2020 after
15 the recommended phase 2 dose is determined in
16 study 0603.

17 Now, to conclude our presentation, in adult
18 patients with FLT3-ITD mutated AML, gilteritinib
19 has encouraging single-agent anti-leukemic activity
20 with an acceptable and well-characterized safety
21 profile.

22 It is anticipated that our pediatric plan

1 would generate sufficient data to inform
2 prescribers in this orphan patient population. We
3 will assess safety, anti-leukemic activity, and
4 pharmacokinetics in our proposed studies.

5 Given the known cardiotoxicity of
6 daunorubicin, we specifically plan to monitor for
7 cardiovascular toxicity when combining with
8 gilteritinib. Assessments for efficacy will
9 include remission rates, event-free survival,
10 overall survival, and molecular response.

11 We look forward to your input, discussion,
12 and questions. Thank you.

13 **Clarifying Questions from Subcommittee**

14 DR. PAPP0: Thank you very much. We will
15 now take clarifying questions for Astella
16 Pharmaceuticals. Please remember to state your
17 name for the record before you speak, and if you
18 can, please direct your questions to a specific
19 presenter.

20 DR. ROTH: Bruce Roth, Wash U in St. Louis.
21 I had a question just in general about feasibility
22 here. I mean, you say in your own data, there's

1 730 new AMLs in the pediatric population a year and
2 somewhere between 6 and 22 percent had FLT3
3 mutations. Let's use 10 percent because math is
4 not my strong suit. That's 73 patients a year.

5 Then you talk about the subset of those that
6 has relapsed or refractory disease, at least for
7 0603, and so you're talking about 0603 maybe using
8 60 patients, 0604 using over 100 patients with
9 those two trials, overlapping by a couple years.

10 It almost seems overly daunting that you
11 need to have the vast majority of patients in this
12 country going on one of these two trials to pull it
13 off in that period of time.

14 DR. KRIVOSHIK: Thank you for that comment,
15 and actually that highlights two main aspects in
16 terms of our overall pediatric program. As you've
17 highlighted, it's a challenge from a feasibility
18 perspective to come up with an appropriate timeline
19 to deliver hopefully meaningful results that can be
20 impactful for patients.

21 That's specifically why, even though the
22 current protocol designs are still very much in the

1 early state, for both the 0603 and the 0604, we're
2 working with -- for 0603, we're working with TACL
3 and BFM in Europe, and with 0604, we're working
4 with the Children's Oncology Group.

5 So from a feasibility perspective, I
6 acknowledge the concern you have because it's
7 actually a concern we have, which is, will we be
8 able to deliver data in a very timely manner that
9 can be impactful for patients.

10 But specifically, that's why we're engaging
11 with the cooperative groups early to figure out
12 what would be an appropriate study design in both
13 relapse/refractory setting and the frontline to
14 bring data hopefully that can be impactful.

15 DR. GORE: A couple of questions. You have,
16 just going back to your kinome inhibition, you have
17 low nanomolar potency against AXL as well.

18 DR. KRIVOSHIK: Yes.

19 DR. GORE: So what kind of work have you
20 done to show that this is an independent FLT3
21 factor, knowing that AXL has pure inhibition
22 against AML as well? Or what will you plan to do

1 to look at that in your trials?

2 DR. KRIVOSHIK: If I can briefly comment on
3 our understanding of the role of AXL within
4 gilteritinib and then specifically come back from a
5 planned, I think, biomarker strategy maybe in terms
6 of what you're getting at in terms of emergence of
7 resistance factors, et cetera.

8 So AXL in and of itself tends to be
9 associated with drug resistance as a potential
10 mechanism after chemotherapy. Our understanding
11 from our non-clinical data is that the primary
12 mechanism for the anti-leukemic effect is from its
13 FLT3 inhibition.

14 In terms of looking at restoration, if you
15 will, after, say, using an AXL inhibitor post-
16 midostaurin resistant cells, you're right in the
17 sense that we do have some non-clinical data that
18 shows that, in that particular setting,
19 gilteritinib still has some activity.

20 But specifically from a mechanistic
21 perspective in the clinic, I think it's very
22 difficult to tease out the relative contribution of

1 FLT3 relative to AXL in that setting, other than to
2 note that on all of our non-clinical as well as
3 early clinical data, it appears that FLT3
4 inhibition is an absolute requirement and primary
5 driver in there.

6 DR. GORE: Can I ask just an additional
7 question? The respiratory failure that you noted
8 in your adult trials, is there a common theory
9 about that mechanism of action? What do we know
10 about those respiratory failures?

11 DR. KRIVOSHIK: Right. To comment further
12 on respiratory failure, I invite Dr. Ahsan
13 Arozullah up to the podium.

14 DR. AROZULLAH: As we described, this data
15 is from our 101 study, which is in refractory
16 relapse patients. In that population, we did not
17 see a consistent pattern amongst these respiratory
18 failure patients that would tie together our
19 mechanism. Most of these cases were patients who
20 are either in sepsis concurrently, many infectious
21 sources, pneumonias, and so on. But we did not see
22 necessarily cases of things like interstitial lung

1 disease in the background behind that.

2 DR. DuBOIS: Steve DuBois, Dana-Farber,
3 Boston Children's. I have a few questions. First,
4 rather impressive with a patient on it at least out
5 to three years and I'm wondering what you're
6 learning about mechanisms of secondary resistance
7 to the agent.

8 DR. KRIVOSHIK: So we're fortunate to have a
9 number of patients now that have been on for quite
10 long periods of time. The biomarker strategy in
11 terms of understanding potential resistance
12 mechanisms, there's no clear data at this time from
13 the adult studies, but that is something that we're
14 continuing to assess and then actually have built
15 in with the pediatric trials as well as the adult
16 trials, specifically from a biomarker strategy to
17 try and understand that, but right now don't have a
18 definitive answer in terms of why those subsets do
19 so well for so long.

20 DR. DuBOIS: And then a follow-up question,
21 the starting dose that you've proposed -- and if I
22 take your 120-milligram flat dose and normalize it

1 to a body surface area average for an adult, I get
2 70 mgs per meter squared. So a starting dose of
3 about 40 mgs per meter squared is about 57 percent
4 of the adult dose, which feels a little bit low for
5 a starting dose. I wonder how you came to 40 mgs
6 per meter squared for your starting dose.

7 DR. KRIVOSHIK: Right. The first thing to
8 keep in mind is the 0101 data is in a monotherapy
9 setting, so that also potentially factors in. The
10 second is that with respect to 0603 and 0604, those
11 will be in combination.

12 The 40 milligrams per meter squared is
13 roughly equivalent to the 80-milligram daily dose
14 in adults. And that was using a BSA conversion of
15 1.73. That was the one that we used as a standard.
16 And the pediatric starting dose level lower than
17 60 mgs per meter squared is roughly equivalent to
18 the 120-milligram dose, if that makes sense. About
19 a 20 mgs per meter squared delta will take you from
20 the 80 mgs, which is that one dose level below our
21 recommended phase 3 dose. Is that clear?

22 DR. DuBOIS: Thank you.

1 DR. RAETZ: Elizabeth Raetz, University of
2 Utah. I had a similar question about the rationale
3 for the starting dose. And I just had a question,
4 too. So it sounds like in your adult trial, the
5 120-milligram dose, anything at that level or
6 higher, you had full target inhibition.

7 DR. KRIVOSHIK: Yes.

8 DR. RAETZ: Did you have a good target
9 inhibition at the 80-milligram dose as well that
10 would be the starting-dose equivalent for the
11 pediatric population?

12 DR. KRIVOSHIK: So to provide -- the short
13 answer is, yes, we had some target inhibition,
14 reasonable target inhibition, but because of some
15 PK variability, unlike the 120-milligram dose where
16 we had near 100 percent inhibition, the
17 80-milligram dose by plasma inhibitory assay was
18 less than 100 percent, but still very robust.

19 DR. WEIGEL: Hi. Brenda Weigel, University
20 of Minnesota. I may have missed it, but in your
21 relapsed refractory trials, did you exclude
22 patients who had prior TKI therapy with FLT3

1 inhibition? And can you speak to -- did all of the
2 patients have prior therapies with other TKI
3 inhibitors or is there a mixed population? Can you
4 speak to that? And with regards to the pediatric
5 trial, is prior exposure, regardless of agent,
6 allowed or not allowed?

7 DR. KRIVOSHIK: That's a very critical
8 point. In terms of prior TKI on the relapsed
9 refractory population, it was actually allowed.
10 The vast majority of the patients who had a prior
11 TKI were exposed to sorafenib.

12 When we looked at the overall response rate
13 in patients with prior TKI use, it was 42 percent,
14 which was comparable to the TKI-naïve population of
15 56 percent. And I believe, just last night,
16 released in Lancet, that data, which was in press,
17 so there's a lot more detail around that, that was
18 released yesterday.

19 DR. PAPPO: Alberto Pappo. Did you see
20 responses both in patients that have ITDs as well
21 as TKDs or just patients that had internal entire
22 duplications?

1 DR. KRIVOSHIK: So the majority of the
2 responses that we saw were in the ITD population.
3 We did have very few patients who also responded
4 with the TKD, but that response rate as a fraction
5 of patients was much lower.

6 It seemed to be driven more by those that
7 had had very high FLT3-TKD ratio, were the ones in
8 that group. And again, that's also something that
9 is in that same manuscript that came out last
10 night.

11 MS. PREUSSE: Quick question. Courtney
12 Preusse, Fred Hutch. Study 101 does, a tremendous
13 job of describing the use of this drug in a
14 monotherapy context. I guess I'm confused by what
15 seems like a leap to study 604 by combining it with
16 other treatments, other treatments that are known
17 to have high toxicity.

18 So I guess it's really a multi-part
19 question. How did you make that bridge from
20 monotherapy to combination therapy, and do you have
21 any data on adverse events with regard to
22 combination therapy? And, I'm sorry, can you speak

1 to also the 7 deaths in the study? I don't
2 remember the slide.

3 DR. KRIVOSHIK: So let me parse that
4 into -- I grabbed three separate concepts, and the
5 first is what combination data that we have
6 available. And we actually have, although it's
7 still very much in preliminary stage, a study
8 called 0103, which should report out, hopefully
9 later this year and in time for ASH, where we're
10 combining with cytarabine for 7 days plus
11 idarubicin and adding gilteritinib on top of that.

12 The initial schema had gilteritinib starting
13 on day 1. It was very difficult to tease out from
14 the toxicities from the cytarabine and idarubicin,
15 what was going on with gilteritinib in that
16 setting.

17 Although we saw in that first cohort 2 DLTs
18 of left-ventricular ejection fraction decrease and
19 hematologic recovery delay, we amended the protocol
20 to do a combination sequential method so that we
21 could actually put windows around when the
22 different drugs were given to try and tease out a

1 little bit better in terms of understanding the
2 dose-limiting toxicities.

3 Since amending that protocol, though the
4 data is very preliminary and under review, we've
5 cleared the 40-, 80-, and 120-milligram cohorts,
6 and that data is still accruing. So that will be
7 one element that will help inform when we continue
8 to work with both BFM, TACL, and COG for the
9 combination strategy.

10 The second component in terms of why not, I
11 think you were implying, but why not just do a
12 monotherapy setting in that population? And I
13 think, right now, back to a study design and
14 feasibility question, this is where we have been
15 guided from our external collaborators.

16 So obviously, we are happy to entertain
17 different study designs in terms of how to get the
18 meaningful data that we need to actually
19 demonstrate activity in this patient population.
20 But right now, I think the group as a whole that
21 we're working with are leaning more towards going
22 into combination.

1 That's, I think, partly the feasibility
2 question as well as with the encouraging adult
3 data, and adding to a backbone sometimes is a
4 little bit easier than doing a de novo.

5 Then your third question around the depths
6 in a little bit more detail, again, I'd like to
7 invite my pharmacovigilance colleague, Dr. Ahsan
8 Arozullah, who will provide as much detail as you'd
9 like to know about those.

10 DR. AROZULLAH: Ahsan Arozullah, medical
11 safety from Astellas. We have had this concern
12 throughout the 101 study, knowing that there may
13 have been an effect with the QT prolongation

14 So in terms of following these patients, the
15 particular patient that was reported as a sudden
16 death was a patient who passed away at home. All
17 of that patient's study protocol mandated ECGs, did
18 not show QT prolongation. But the last ECG was
19 several days before this patient had passed away at
20 home, and despite our best efforts, we don't have
21 any ECG readings from the time of their actual
22 death.

1 MS. PREUSSE: But there were 7 deaths, not
2 just 1. Right?

3 DR. AROZULLAH: Yes. Apologies. I thought
4 you were referring specifically to the case that
5 was reported as sudden death.

6 So there were 7 deaths that were assessed.
7 Your attention to the slide that this is a summary
8 of those 7 adverse events that led to death. There
9 was a case of intracranial hemorrhage again in a
10 patient with refractory/relapsed AML. That patient
11 also had a low platelet count concurrently with
12 that.

13 There was a case of septic shock. There was
14 a case of hemoptysis, and this patient as well
15 had -- with this underlying AML, the autopsy
16 summary did show some lung involvement of the AML
17 as well and a possible underlying fungal pneumonia.

18 A patient who was reported and passed away
19 with ventricular fibrillation, this patient was in
20 the hospital, and, again, a potential drug
21 relationship could not be excluded. But this
22 patient also had electrolyte abnormalities that

1 preceded the reported event and did have some
2 background history related to smoking, cardiac
3 disorders.

4 The fifth patient with respiratory failure,
5 this case again came in the context of a patient
6 with significant sepsis, and the underlying AML
7 with concurrent plural and pericardial effusions
8 was also considered a potential contributing
9 factor.

10 The sixth patient who had passed away also
11 had neutropenia at that time, and this patient died
12 with severe neutropenia, anemia, thrombocytopenia.
13 And then the last case was a case that was autopsy
14 found to have a primarily embolism. So those were
15 the 7 cases that we had assessed as possibly drug
16 related in the 101 study.

17 MS. PREUSSE: So the last follow-up
18 question, and then I'll be quiet, is for study 604,
19 the one in combination with other drugs, other
20 drugs that are known to have very toxic side
21 effects, like with daunorubicin and cyta -- anyway,
22 would you consider a dose escalation in a pediatric

1 population?

2 DR. KRIVOSHIK: Right. So let me parse that
3 I think to the underlying question, which is, in
4 604, although the initial starting dose will be in
5 form from the recommended phase 2 dose from 0603,
6 there is going to be a safety run-in, specifically
7 to look and confirm the safety.

8 Even though that run-in, if you will, from a
9 DLT assessment or confirmatory period, is really
10 confined currently in the current design to
11 induction 1, the DLT assessment post-induction 1
12 will also continue.

13 So in terms of allowing not only for
14 individual patient dose escalation or de-escalation
15 for toxicity, but that data is planned to be
16 continued to be analyzed within that broader
17 context to confirm, if you will, that the dose that
18 was brought forward was correct.

19 DR. WEIGEL: Brenda Weigel, University of
20 Minnesota. With regards to the CNS toxicity, can
21 you speak to the AML CNS status of the patients
22 enrolled on your current studies? Were they

1 receiving concurrent intrathecal therapy? And was
2 there hypertension associated with the episodes of
3 PRES?

4 DR. KRIVOSHIK: Right. So let me parse that
5 and first address the PRES with the 3 patients. So
6 the two that were on the study 101 and the third
7 patient that was from the compassionate access
8 protocol, all of the patients didn't necessarily
9 have the traditional hypertensive run-in up front,
10 although one patient, if I recall, I think was
11 mildly hypertensive at the time of the event, 154
12 over 82, but not the typical PRES related.

13 Then the one subject who had a seizure but
14 not confirmed as PRES on day 123, I think at
15 day 120 had intrathecal cytarabine, headache,
16 confusion, or headache-increased blood pressure,
17 but did not meet the criteria at that time for
18 PRES.

19 In terms of AML status, although
20 specifically CNS recurrence of AML was an
21 exclusionary criteria from the 0101 study, I think
22 the question in terms of when we think about how to

1 enroll and what patient population for 0603 and
2 0604, we know from our non-clinical data that
3 although we don't have a formal blood-brain barrier
4 study, we do know from tissue penetration that we
5 do get into the brain and also that from the two
6 clinical episodes of PRES, that that also may be
7 indicative of a drug getting across the blood-brain
8 barrier.

9 So that may be something that may be helpful
10 in terms of trying to ameliorate potential CNS AML.

11 DR. GORE: Lia Gore, University of Colorado.
12 To follow the PRES patients, a substantial
13 proportion of your patients had had prior stem cell
14 transplant.

15 Can you state whether or not the patients
16 with PRES had prior transplant? And if so, what
17 was the distance from their immunosuppressive
18 therapy to receiving the agent? And were they on
19 active immunosuppressive therapy at the time?

20 DR. KRIVOSHIK: Right. I will again invite
21 Dr. Ahsan Arozullah up to provide a little bit more
22 detail around that, but again, given the timing, we

1 may have very limited information.

2 DR. AROZULLAH: Ahsan Arozullah, medical
3 safety. So for those two patients that were in the
4 101 study with PRES, they were not on other
5 immunosuppressive therapy at the time.

6 In terms of their transplant history, I am
7 not sure. We don't have that exact information as
8 to the time that they were transplanted at this
9 time. But in terms of the distance from their
10 transplant, it does not appear that either of those
11 patients have been transplanted.

12 DR. MacDONALD: Tobey MacDonald, Emory
13 University. I know almost nothing about AML, so I
14 had to read a little about this. And I found that
15 there is an FDA-approved drug for AML with FLT3
16 mutation in adults. How does this drug compare to
17 that one?

18 DR. KRIVOSHIK: From a mechanism of action
19 perspective, the kinases that are hit with
20 midastaurin appear to be different than the kinases
21 that we hit from the overall perspective, so I
22 think you're referring to midastaurin in

1 particular.

2 So I think, although I don't have side-by-
3 side non-clinical comparison, I think the two are
4 potentially very different agents, but really
5 asking in terms of what type of clarification do
6 you want or are looking for in terms of
7 understanding.

8 DR. MacDONALD: So I'll just follow up. In
9 terms of then feasibility, are there plans for this
10 agent to move forward in those cooperative groups
11 in particular? So is that going to further reduce
12 your numbers?

13 DR. KRIVOSHIK: I'd like to invite Tim
14 Farber from our regulatory affairs group to comment
15 on the pediatric plans for some of the
16 competitors...

17 MR. FARBER: Tim Farber, regulatory affairs.
18 So midastaurin has recently been approved in newly-
19 diagnosed FLT3 mutation-positive AML, but the
20 safety and effectiveness of midastaurin in
21 pediatric patients has not yet been established.

22 Based on public information, we're aware

1 that they were planning two studies. The first was
2 a study in relapse refractory leukemia. We know
3 that that study was recently terminated, so we're
4 not aware of what those results may mean. They
5 also have another study that's planned in newly-
6 diagnosed leukemia, but there's no information on
7 when that study may start.

8 DR. ARNDT: Carola Arndt, Mayo Clinic. Can
9 you talk a little bit more about the rationale
10 behind maintenance in your proposal?

11 DR. KRIVOSHIK: Sure. I think given the
12 high rate of relapse in particular, even post-
13 transplant, even with the adult data, the rationale
14 and particularly because of some of the long-term
15 data we have in the 0101 study, which we allowed
16 them to continue until progression is monotherapy,
17 it seemed both from the adult program, where we
18 have two maintenance trials, but in the pediatric
19 program -- given that high rate of relapse in that
20 particular population, it seemed like a reasonable
21 place to offer maintenance therapy in that setting.

22 DR. ANGIOLILLO: Hi. Anne Angiolillo from

1 Children's National. Actually, I just have many
2 questions about PRES, but my prior colleagues,
3 Weigel and Gore, have already asked them, so thank
4 you.

5 DR. PAPPO: Any additional questions? Greg?

6 DR. REAMAN: In your adult program, are you
7 using maintenance as well, and is that part of the
8 adult program as well?

9 DR. KRIVOSHIK: If we could pull back up the
10 adult phase 3 program slide here, both study 0302
11 and 0304 are in the maintenance setting and
12 specifically addressing maintenance, whereas 0201
13 is in that low-intensity chemo for patients who
14 can't tolerate full induction.

15 Then the 0301, which is the one that's most
16 likely to read out and gates the pediatric studies,
17 that's in the salvage setting.

18 DR. WEIGEL: Can you give a little more
19 detail about where we're at with a pediatric-
20 friendly formulation, suspension and ability to
21 dose very small children?

22 DR. KRIVOSHIK: Yes. I'd like to invite Dan

1 Mossman from CMC.

2 DR. MOSSMAN: Dan Mossman, project manager
3 from our chemistry manufacturing and controls
4 organization. We are developing a 10-milligram
5 mini-tablet that will be used initially for the
6 0603. We've done palatability studies with that
7 formulation, and we determined that the
8 gilteritinib has a strong taste, so we are not
9 proposing to use that for oral suspension. We're
10 developing a second taste-masked formulation, which
11 will be available in the 2019 time frame that will
12 mask the taste.

13 DR. WEIGEL: So those will be mini-tabs,
14 like they'll be given by a spoon, et cetera? Is
15 that the concept? Because these are historically
16 really hard to get into suspension. That's why I'm
17 asking.

18 DR. MOSSMAN: Correct. We're proposing to
19 place them in a syringe, and add a small amount of
20 water, and then suspend it in the syringe, and then
21 administer it in the mouth.

22 DR. WEIGEL: Great. Thank you.

1 DR. PAPPO: I have another question
2 regarding maintenance therapy. Do you think that
3 you will have the results of the adult trials, that
4 will be able to inform the pediatric trial? Have
5 you decided to have a maintenance phase or not?

6 DR. KRIVOSHIK: I think it's difficult with
7 event-driven endpoints to know with great
8 precision. I think the 0302 and 0304 unfortunately
9 probably won't read out until several years after
10 the 0604 study is proposed. But in terms of our
11 overall understanding of, even though not a
12 maintenance trial, from the 0101 data, as those
13 patients continue on, I think that's an important
14 dataset that can inform us, but not from the
15 phase 3 trials.

16 DR. REAMAN: Just to follow on Dr. Pappo's
17 question, are you looking for toxicity in both the
18 0101 and the other adult trials with respect to
19 longer-term exposure to this product?

20 DR. KRIVOSHIK: With the patients we have to
21 date who have been on for quite a period of time,
22 although the numbers are relatively small compared

1 to the ones who were on early on, just because of
2 the nature of the program, there doesn't appear to
3 be any differences in the emergent new long-term
4 toxicity.

5 What we've seen in those 9 patients in
6 particular, who have been on for many, many cycles,
7 up to 3 years, is that the adverse events that come
8 in reported look almost the same as when they first
9 started trial.

10 DR. ARNDT: Carola Arndt, Mayo Clinic. So
11 in looking at the study design for 0604, if I
12 understand it correctly, once patients are
13 identified to have FLT3-ITD, they will continue on
14 this same backbone of chemotherapy that they were
15 originally randomized to, and then be randomized to
16 either -- and they will all get gilteritinib.
17 Right? Gilteritinib?

18 DR. KRIVOSHIK: Right.

19 DR. ARNDT: How would you manage -- the
20 toxicities may be different -- using the agent with
21 "experimental chemo to be determined" versus
22 standard DA chemotherapy? It's almost like a 2-by-

1 2 design, kind of.

2 DR. KRIVOSHIK: Right.

3 DR. ARNDT: How are you going to deal with
4 that in the small numbers?

5 DR. KRIVOSHIK: Right. And I think that's
6 one of the key pieces that we're working with, the
7 folks from Children's Oncology, particularly Todd
8 Cooper and Jesse Pollard in terms of trying to
9 understand and tease out the design.

10 The arm D and arm B in particular, the
11 experimental chemo is -- if you look at it in terms
12 of where COG is in terms of the timelines, this
13 sort of falls into the CPX-351 trial, which is very
14 similar in terms of a liposomal cytarabine and
15 daunorubicin.

16 Whether or not Mylotarg is put in there, I
17 think is the key question. But that's a critical
18 point that you raise, that we're still working with
19 the folks from COG how to figure out what the right
20 combinations are from a safety perspective as well
21 as can you tease out what the magnitude of effect
22 is.

1 DR. PAPPO: Any additional questions?

2 (No response.)

3 **Questions to the Subcommittee and Discussion**

4 DR. PAPPO: Thank you very much.

5 There is no open public hearing session.

6 We will now proceed with the questions to the
7 committee and panel discussions. I would like to
8 remind public observers at this meeting that, while
9 this meeting is open for public observation, public
10 attendees may not participate except at the
11 specific request of the panel.

12 Can we start with the first question,
13 please?

14 DR. WARD: Please discuss the preliminary
15 pediatric development plan, including the
16 indications proposed for further study and in
17 particular the proposal to study gilteritinib only
18 in children with acute myeloid leukemia that
19 harbors a FLT3-ITD?

20 DR. ARNDT: I just want to clarify the
21 question. Is that studying it only in children
22 with acute myeloid leukemia and ITD as opposed to

1 those without ITD or other diseases?

2 DR. WARD: Specifically with FLT3, other
3 mutations.

4 DR. ARNDT: As opposed to those that -- AML
5 without FLT3?

6 DR. WARD: As opposed to potentially
7 studying AML with FLT3-TKD mutations.

8 DR. PAPPO: How often do you see TKD
9 mutations in pediatrics compared to adults, or is
10 the vast majority of them ITDs? I don't know.

11 DR. GORE: There are more ITDs, but the TKD
12 mutations are not uncommon.

13 DR. PAPPO: So potentially, those patients
14 should perhaps be studied with this drug.

15 DR. GORE: It depends on what data are
16 available, I think.

17 DR. PAPPO: I'm sorry?

18 DR. GORE: I think it would depend on what
19 data are available.

20 DR. PAPPO: Right.

21 DR. GORE: It's sort of like, was it W.C.
22 Fields, and why did you rob the bank, it's because

1 where the money is. Actually, I think it's smart
2 to look at a specific mutation that you have data
3 for. It's a little bit hard to know where else
4 this may be applicable, but I think you want your
5 trial to be optimized for success.

6 So the question is if there are other data
7 that would suggest it could be used in the
8 populations, then that might be worthwhile.

9 DR. PAPPO: They had mentioned that there
10 were some data with exclusively TKD patients, but I
11 don't know what their responses were. But if
12 there's data available and it looks promising,
13 perhaps those patients should also be included.

14 I asked that question, and I believe you had
15 mentioned that there was some data with TKD.
16 Correct?

17 DR. KRIVOSHIK: Yes. But the response rate
18 in that TKD was much lower than -- so for example,
19 in the 0101, those who had FLT3-ITD and TKD,
20 62 percent -- it was a small subset -- was similar
21 to what was seen with FLT3-ITD only, which was
22 55 percent.

1 Those who have the TKD only, the D835
2 mutation in particular, the response rate was about
3 17 percent. So it only appears to be, although
4 it's early days in terms of teasing that out, a
5 subset of the TKD patients that seem to respond,
6 but it's a much lower response rate than the ITD.

7 DR. DuBOIS: I may need some help from my
8 hematologic malignancy colleagues. But I seem to
9 recall some prior data with FLT3 inhibition and
10 MLL-rearranged infant ALL. And I wonder if any of
11 my colleagues want to tell me if that's a good idea
12 or a bad idea, because I just can't remember.

13 DR. REAMAN: It's not a good idea.

14 (Laughter.)

15 DR. REAMAN: At least with the FLT3
16 inhibitor that was evaluated, I don't think so.

17 DR. RAETZ: I think, too, probably with that
18 patient population, it would be contingent upon the
19 oral formulation, the pediatric oral formulation as
20 well, but it sounds like that's soon to be
21 available.

22 DR. PAPPO: Any additional comments?

1 (No response.)

2 DR. PAPPO: So if I got this right,
3 everybody's in agreement that it should be used for
4 patients with ITDs. And as additional data perhaps
5 emerges, if there's a subset of patients with TKD,
6 once they flush out the data, perhaps that group
7 could also be targeted.

8 It's not a good idea to use this drug in
9 MLL-rearranged leukemia. And plans for the
10 pediatric studies should be contingent upon the
11 availability of a pediatric oral formulation, which
12 is currently being worked on. Yes?

13 DR. REAMAN: I would just caution the second
14 statement that it's not a good idea to use it in
15 MLL-rearranged leukemia, because it really hasn't
16 been evaluated. But I was addressing the issue of
17 FLT3 inhibitors in general in MLL-rearranged infant
18 ALL.

19 DR. PAPPO: We'll change that. Thank you.
20 We will now proceed with question number 2.

21 DR. WARD: Please discuss any potential
22 concerns unique to the pediatric population,

1 including toxicities that may be seen when
2 gilteritinib is added to multi-agent chemotherapy.
3 Consider whether any pediatric age groups should be
4 excluded from study and mechanisms to minimize risk
5 on the proposed clinical trials.

6 DR. RAETZ: Elizabeth Raetz. I just had a
7 question. This was probably discussed, but in the
8 design of the relapse trial, was there any
9 consideration to using the agent just with the FLAG
10 backbone alone without the dauno because of
11 potential cardiac toxicity?

12 DR. KRIVOSHIK: So right now, the current
13 proposal is based on the FLAG-DNX. But I think,
14 again, that's not completely fully locked in, but I
15 think the idea was there to include the
16 daunorubicin as well.

17 DR. GORE: Lia Gore, Denver. So DaunoXome
18 is uniquely difficult to obtain in the United
19 States at present. So can we assume that that's
20 primarily a European trial, or how are you managing
21 that? And you did mean DaunoXome, not
22 daunorubicin. Correct?

1 DR. KRIVOSHIK: Correct. And we'd obviously
2 have to work with regulatory authority to work
3 through those details, but the plan right now is to
4 have it as a global trial, not just a European
5 trial with a liposomal formulation.

6 DR. ANGIOLILLO: Anne Angiolillo from
7 Children's National. I just have to answer this
8 question asking another question, and again, back
9 to the PRES. Would the company expect a higher
10 incidence in the pediatrics compared to adults?

11 DR. KRIVOSHIK: Our current understanding
12 right now is that we would expect a similar
13 incidence and similar safety profile in pediatrics
14 as we've seen in adults, with that one construct
15 that most of the adult data so far has been
16 monotherapy, whereas what we're proposing in
17 pediatrics is in combination.

18 DR. GORE: Lia Gore again. Given that you
19 certainly would want a patient to survive long
20 enough to have long-term side effects, do you have
21 potential opportunities to look at some of the more
22 longer side effects that may occur in patients who

1 might receive this in maintenance, and how are you
2 choosing to look at that in a young and growing
3 developmentally immature organism in terms of
4 thyroid, endocrinologic function, growth
5 parameters, those kinds of things if you're lucky
6 enough to get patients to survive long term?

7 DR. KRIVOSHIK: I think that's a very
8 important point as we go back and work with our
9 collaboration partners to figure out how best to
10 monitor for long-term effects in the pediatric
11 population.

12 DR. WEIGEL: To get back to question
13 number 2, I certainly don't think there's any data
14 presented that we should restrict ages. I would
15 encourage standardizing across the protocols, the
16 use of intrathecal. Most pediatric trials will
17 allow intrathecal chemotherapy administration to
18 prevent CNS recurrence.

19 Given the PRES issue that we've discussed, I
20 think it would be very important to standardize
21 that and to collect that data, as that may or may
22 not be important.

1 I also think there should be very clear
2 guidelines, given the differences between the
3 relapsed and the upfront trials in the
4 anthracyclines being used, that we need to very
5 carefully assess the cardiac toxicity. And those
6 will be important differences between those two
7 trials.

8 DR. PAPPO: Any additional questions or
9 comments? So I will try to summarize. I'm sorry.
10 Greg?

11 DR. REAMAN: Just going back to the first
12 question about the incidence of PRES and then
13 Dr. Weigel's question regarding the IT cytarabine
14 use, do we anticipate that there will be a greater
15 incidence? Because that's not something that was
16 probably a component of the adult studies.

17 So would there be a need for special
18 monitoring as well as guidelines for management?

19 DR. WEIGEL: I would suggest at least my
20 impression is that in the adult leukemia world, the
21 use of routine intrathecal is much less frequent,
22 whereas it is standard of care in pediatric

1 leukemia care. So I think we have to be very
2 careful about that difference.

3 DR. PAPP0: Any additional comments or
4 questions?

5 (No response.)

6 DR. PAPP0: So I'm going to try to summarize
7 this. The first question was whether dauno should
8 be added to the FLAG regimen. It is my
9 understanding that is the current plan. There
10 might be an issue with the availability of this
11 agent in the United States. This appears to be a
12 global study, so the sponsor is currently working
13 on trying to determine how dauno will be made
14 available here in the U.S.

15 There was significant discussion about side
16 effects of PRES and a question as to whether there
17 was a higher incidence in pediatrics or not. It is
18 expected that it will have a similar safety profile
19 in pediatrics than adults. However, we highly
20 recommend that there are special monitoring rules
21 for this side effect and to also standardize the
22 doses of intrathecal therapy in these patients.

1 Since patients will be receiving a
2 maintenance phase of this agent, it is highly
3 recommended that you monitor long-term toxicities
4 and you develop a plan for long-term monitoring of
5 these patients with specific targeted toxicities
6 that may be related to this agent.

7 It is also recommended that there are
8 guidelines for cardiac assessment and monitoring,
9 given the addition of anthracycline and the
10 potential cardiac toxicity of this drug. Anything
11 else? Yes?

12 DR. REAMAN: Can we just get some
13 clarification? The 0604 is envisioned as a global
14 study, an international study? I thought it was
15 just a COG study.

16 DR. KRIVOSHIK: 0603, right now we're
17 considering a global footprint. 0604, you're
18 correct, is specifically within COG as being
19 proposed.

20 DR. REAMAN: So the issue of DaunoXome was
21 here. Okay.

22 DR. PAPPO: We will now go to the third and

1 final question.

2 DR. WARD: Please comment on the sponsor's
3 proposal to include one year of maintenance therapy
4 with gilteritinib monotherapy after intensification
5 to or hematopoietic stem cell transplantation in
6 study 0604.

7 DR. ARNDT: I think a lot of this has
8 already been discussed, but I guess one comment
9 would be that, again, the toxicity profile might be
10 different for maintenance here in patients who got
11 a stem cell transplant versus the chemotherapy, and
12 that'll have to be obviously sorted out.

13 DR. GORE: Lia Gore. Is there agreement
14 among your transplant advisors about when
15 maintenance therapy would start and what criteria
16 would need to be met for them to be willing to let
17 their freshly transplanted patients receive an
18 additional medication?

19 DR. KRIVOSHIK: That's still under
20 discussion right now, but the current plan was
21 shortly after transplant to allow for up to a year
22 in that setting, but there isn't consensus yet, and

1 the protocol is still very much in the early draft.

2 DR. REAMAN: I know the numbers are going to
3 be small, but is there any consideration for either
4 addressing the need for maintenance in a randomized
5 or even a stratified manner based on MRD
6 determination at the end of maintenance or after
7 transplantation?

8 DR. KRIVOSHIK: So we certainly will take
9 that into consideration. I think in terms of
10 particularly that front-line setting, because we're
11 trying to piggyback on top of the COG trial, I
12 think there's a number of unanswered questions, and
13 that's one of the key ones, too. But certainly, if
14 we can figure out a way to make it feasible within
15 that current design, then certainly we will take it
16 under consideration.

17 DR. PAPP0: Any additional questions or
18 comments?

19 (No response.)

20 **Adjournment**

21 DR. PAPP0: So if I can summarize this third
22 question, it would be important to look at the

1 toxicity profile in patients that received
2 transplant versus no transplant, also to better
3 clarify when is this drug going to be started,
4 after BMT. Apparently, currently it's shortly
5 after BMT.

6 Then the final question is whether you would
7 consider a randomized study, at least in subsets of
8 patients to determine the value of maintenance
9 therapy in this population.

10 We will now adjourn the meeting. Panel
11 members, please remember to drop off your name
12 badge at the registration table on your way out so
13 that they may be recycled. Thank you very much,
14 and I'll see you all tomorrow.

15 (Whereupon, at 2:25 p.m., the session was
16 adjourned.)

17

18

19

20

21

22