

# TRANSCRIPT OF PROCEEDINGS

---

IN THE MATTER OF: )  
 )  
BACTERIOPHAGE THERAPY: )  
SCIENTIFIC AND REGULATORY ISSUES )  
PUBLIC WORKSHOP )

(This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.)

Pages: 301 through 532  
Place: Rockville, Maryland  
Date: July 11, 2017

---

## HERITAGE REPORTING CORPORATION

*Official Reporters*

1220 L Street, N.W., Suite 206

Washington, D.C. 20005-4018

(202) 628-4888

contracts@hrcourtreporters.com

BEFORE THE DEPARTMENT OF HEALTH AND HUMAN SERVICES  
U.S. FOOD AND DRUG ADMINISTRATION

IN THE MATTER OF: )  
 )  
BACTERIOPHAGE THERAPY: )  
SCIENTIFIC AND REGULATORY ISSUES )  
PUBLIC WORKSHOP )

Room 1D-13  
NIAID Conference Center  
5601 Fishers Lane  
Rockville, Maryland

Tuesday,  
July 11, 2017

The parties met, pursuant to the notice, at  
8:30 a.m.

MODERATOR:

RYAN RANALLO, Ph.D.  
NIAID

PARTICIPANTS:

BISWAJIT BISWAS, Ph.D.  
Naval Medical Research Center  
Frederick, Maryland

ANDREW CAMILLI, Ph.D.  
Tufts University

BRECK DUERKOP, Ph.D.  
University of Colorado

MICHAEL KURILLA, Ph.D.  
Office of Biodefense, Research  
Resources, and Translational Research  
NIAID

PARTICIPANTS: (Cont'd.)

TIMOTHY LU, MD, Ph.D.  
Massachusetts Institute of Technology

JAMES REGEIMBAL, Ph.D.  
U.S. Naval Medical Research Unit  
Lima, Peru

ROY STEVENS, DDS  
Temple University

SCOTT STIBITZ, Ph.D.  
Center for Biologics Evaluation and  
Research, FDA

STUART TYNER, Ph.D.  
Walter Reed Army Institute of Research

PAUL TURNER, Ph.D.  
Yale University

MICHAEL ZAPOR, MD, Ph.D.  
Walter Reed Army Institute of Research

C O N T E N T S

<u>SESSION 3: Future Directions</u>	<u>PAGE</u>
The Potential Therapeutic Use of Bacteriophages as Adjuncts or Alternatives to Antibiotics, Michael Zapor and Stuart Tyner	305
Phage Receptors and Resistance Mechanisms in <i>Enterococci</i> , Breck Duerkop	328
Using Phage to Select for Evolution of Reduced Virulence in Pathogenic Bacteria, Paul Turner	350
Engineering Phage and Phage Products to Disrupt <i>Enterococcus faecalis</i> Biofilms, Roy Stevens	378
Engineered Phages for Diagnostics and Therapeutics, Timothy Lu	398
Prophylactic Use of Bacteriophages Against Cholera, Andrew Camilli	431
Rapid Emergence of Phage-Resistant Bacteria during Phage Therapy of a Terminally Ill Patient Who Was Infected with Multidrug-resistant <i>A. baumannii</i> , Biswajit Biswas	456
Phage Therapy Against MDR Strains: Overcoming the Double-edged Sword of Phage Specificity, James Regeimbal	477
Panel Discussion Session 3 speakers, with Scott Stibitz, FDA/CBER	513
Concluding Remarks, Michael Kurilla, NIAID	527

P R O C E E D I N G S

(8:30 a.m.)

1  
2  
3 DR. RANALLO: Good morning, everybody.  
4 Sorry about that. Good morning. I hope everybody's  
5 up. Okay. So we're going to start on time today. My  
6 name is Ryan Ranallo, I'm a program officer here, at  
7 NIAID, and I'm going to be your moderator for the  
8 entire day today, something the organizing committee  
9 didn't tell me before they signed me up for this.  
10 Nevertheless, hopefully we'll get through it all day  
11 today.

12 So one thing that I wanted to just note is  
13 how in two years, how things have changed  
14 significantly since the last time we've held a phage  
15 therapy meeting, and so, with that, I think we have a  
16 couple of large buckets of topics today, phage  
17 engineering being one of them, and essentially looking  
18 at phage for different uses, including, you know,  
19 transmission and decolonization.

20 So, with that -- oh, the only other thing I  
21 would say is if you have any questions about whether  
22 or not your slides have been loaded for speakers,  
23 please check in the back. Marcus has been great all  
24 day yesterday, and certainly today as well. So for  
25 the first talk, it's a tag team talk of Col. Zapor and

1 Lt. Col. Tyner.

2 Col. Zapor is the deputy commander of  
3 operations at the Walter Reed Army Institute of  
4 Research, and Lt. Col. Tyner, who I first met actually  
5 when I was a post-doc at NCI and -- in Building 37, is  
6 the director of bacterial diseases branch, which just  
7 actually happens to be my old department where I spent  
8 10 years at Walter Reed working on enteric vaccines.  
9 So, without further ado, I'm going to introduce Col.  
10 Zapor and Lt. Col. Tyner for our first talk.

11 DR. ZAPOR: Okay. Good morning, everybody.  
12 Thanks to the organizers for inviting me to speak at  
13 this conference. Unfortunately, I'm only here for the  
14 morning session because of conflicting obligations, as  
15 well as secondary to car problems, but -- so I'll be  
16 here until lunch and then depart after that.

17 As you heard, I'm splitting my 30-minute  
18 block with a colleague, Lt. Col. Tyner, so I'll be  
19 cognizant of the fact that I have 15 minutes to speak  
20 to ensure that he has 15 minutes as well.

21 So the purpose of this talk, I was asked to  
22 speak about potential therapeutic indications for  
23 bacteriophages and first thought we would kind of  
24 address some of the limitations of the current --  
25 antibiotics and the current problems.

1           So antibiotics of course have been the  
2           mainstay of therapy in the -- for the treatment of  
3           infections for decades, but there have been some  
4           unintended consequences. Everybody of course is  
5           familiar with the issue of the emergence of multidrug-  
6           resistant organisms, in some cases extremely drug-  
7           resistant organisms, or even pan drug resistance.

8           Moreover, antibiotics, as effective as they  
9           are, are not 100 percent specific. In the parlance of  
10          my profession, we unfortunately see considerable  
11          friendly fire, especially with the broad-spectrum  
12          antibiotics such as the carbapenems, and so oftentimes  
13          the antibiotics are effective in eradicating the  
14          intended target, but have the unintended consequence  
15          of killing benign, or even beneficial, bacteria as  
16          well.

17          This is evidenced, for example, by the  
18          emergence of *C. diff* colitis in patients who are on  
19          broad spectrum antibiotics.

20          Other limitations with antibiotic use of  
21          course include the emergence of drug resistance. I've  
22          already spoken to that. Some types of infections are  
23          less amenable to treatment than other types. So  
24          infections which involve abscesses or other sequestra,  
25          antibiotics generally don't penetrate abscess fluid

1 very well, some less well than others. Rifampin works  
2 fairly well, but there are many other antibiotics that  
3 are inactivated in abscess fluid. Aminoglycosides  
4 come to mind.

5           Additionally, the presence of a foreign body  
6 can make infections difficult to treat. Foreign body  
7 -- we've seen a considerable number, a very large  
8 number, of war wounded coming back from Iraq and  
9 Afghanistan status post blast injuries with retained  
10 foreign bodies. Some of these can be removed  
11 surgically, some cannot. Some are intentionally left  
12 in place.

13           Each of these FBs becomes a potential nidus  
14 for infection. They get colonized with bacteria,  
15 oftentimes bacteria that elaborate glycocalyces or  
16 make a biofilm, and there are very few antibiotics  
17 that can reliably sterilize biofilms.  
18 Other considerations include patient anatomy. So I  
19 gave the analogy or offered the example of war  
20 wounded. Patients who have had blast injuries  
21 oftentimes have interruptions in their blood supply,  
22 they have interrupted vasculature, and all the tissue  
23 prior, distal to the injury becomes ischemic, starved  
24 for oxygen, starved for blood, and antibiotics can  
25 only work where they're delivered, and if antibiotics

1 are not delivered to vascularized, oxygenated tissue,  
2 then they don't work very well. It's very common for  
3 us to see patients who have ischemic limbs, necrotic  
4 tissue, retained foreign bodies, and antibiotics just  
5 don't work very well. More often than not the  
6 intervention of choice for those patients is cold  
7 steel, for example, amputation, rather than medical  
8 therapy alone.

9           And then there are other considerations such  
10 as the rapid metabolizers. We know that there are  
11 some patients who just inherently metabolize and  
12 inactivate antibiotics and other drugs more rapidly  
13 than other patients.

14           And then we always have to be cognizant of  
15 patients who have drug allergies or some other  
16 contraindication to antibiotics. So, examples that  
17 come to mind, beta lactam allergies, which are fairly  
18 common, nephrotoxicity associated with  
19 aminoglycosides, associated with vancomycin and so  
20 forth.

21           So, for all these reasons, antibiotics, as  
22 effective as they are, as reliable as they have been,  
23 they do have their limitations, and, as a consequence,  
24 we're forced to explore alternatives.

25           So what are some of the pros and cons of

1 using phages as therapy? This is a table I put  
2 together with which you may or may not agree. In the  
3 pro column for phages there's long history of use.  
4 Everybody knows that phage has been used in Eastern  
5 Europe for many years, and at one point in time early  
6 in the 20th Century, phages of course were available  
7 by prescription in this country.

8           Phages are ubiquitous, they're fairly easy  
9 to isolate, they're much more specific than our  
10 antibiotics. We don't see that friendly fire, so to  
11 speak. Phages potentially are active against MDROs.  
12 Probably benign, as far as the patient is -- patient  
13 goes.

14           Phages are bactericidal. At least the lytic  
15 phages are. Phages, I think, are gaining acceptance.  
16 Certainly in Europe, both East and Western Europe,  
17 phages are getting more use and have a wider  
18 acceptance. And then phages also provide an  
19 opportunity to present an opportunity for us to pave  
20 the way in publishing evidence-based, peer-reviewed  
21 articles supporting their use.

22           On the con side, although phages have been  
23 used for many years in Europe, there is a paucity of  
24 high quality literature. Much of this literature has  
25 not been translated. We've got some folks over at the

1 WRAIR, at the Walter Reed Army Institute of Research,  
2 we've asked to translate some of this literature.

3 Phages need to be propagated under  
4 controlled environmental conditions. Phages are  
5 highly specific, and so just as that may be an  
6 advantage, that could be disadvantageous as well if  
7 we're looking at patients with polymicrobial  
8 infections, or if we have a phage that's only specific  
9 against a particular species or strain, then we may be  
10 forced to look at cocktails in order to sufficiently  
11 treat a patient with an infection.

12 Bacteria can acquire resistance to phages.  
13 We don't yet know what the host response will be. You  
14 know, the role of antibodies formed against phages,  
15 for example. I know over at the WRAIR there is a lot  
16 of concern about phages being lysogenic rather than  
17 lytic, and I know that's a concern from a regulatory  
18 standpoint as well.

19 Phages are viewed skeptically in the United  
20 States. I will tell you, as an infectious diseases  
21 physician, that a lot of my colleagues are very  
22 critical about phages. You know, they see this group  
23 as a little eclectic, and phages are a little bit like  
24 voodoo.

25 I don't mean that to sound pejorative or

1        facetious, but, you know, I'm here trying to tell you  
2        from a clinician's perspective how I think we can get  
3        a wider acceptance of phages therapeutically. But I  
4        know that I've engaged some of my colleagues over at  
5        the hospital where I spent 12 years, engaged some of  
6        my colleagues over at the hospital about clinical  
7        trials, and I get this kind of raised eyebrow  
8        response. So that poses a challenge.

9                So my opinion, for whatever it's worth,  
10        probably worth about two cents, we don't know if *in*  
11        *vitro* activity yet portends *in vivo* activity. In  
12        other words, if phages will behave or will perform for  
13        us in the laboratory as they do -- in the clinic  
14        rather, or in the operating room as they do in the  
15        laboratory.

16                Moreover, we don't yet know what the  
17        clinical indications might be. I don't imagine there  
18        are many people in this audience who are arguing that  
19        phages will be effective against every infection  
20        conceivable. Rather, what we need to do is identify  
21        those in particular clinical indications for which  
22        there is a use for phages.

23                But that said, my perspective at least is  
24        the issue of emerging drug resistance forces us to  
25        consider modalities and therapeutics that maybe we

1 wouldn't have considered years ago. So I think our  
2 backs are up against the wall, figuratively speaking.

3 I think that phages amongst the clinical  
4 community are most likely to be accepted and  
5 considered useful if we offer them as adjunctive  
6 therapy: to be used with antibiotics, perhaps with  
7 surgery, to be used in situations in which medical  
8 management alone is problematic or antibiotics might  
9 be ineffective or contraindicated, or -- and I think  
10 this is a big selling point, and I know, I believe  
11 there's at least one surgeon in the room -- if we can  
12 tell the surgeons we have a therapy that may  
13 potentially obviate the need to remove infected  
14 hardware.

15 I can tell you, as an infectious diseases  
16 physician, I spend a lot of time consulting, or  
17 providing consultation with orthopedic surgeon  
18 colleagues, and the last thing they want to hear from  
19 the ID doc is the hardware has to come out.

20 That poses technical challenges, both with  
21 the removal and subsequent replacement of hardware.  
22 And so if we can tell the surgeons we have a modality  
23 which may enable the patient to retain the hardware, I  
24 think then you're going to get some buy-in from the  
25 surgeons.

1                   And lastly, look, whether or not phages live  
2 up to their expectations, at least we'll be able to do  
3 -- you know, with the experiments we're doing, at  
4 least we'll be able to say, you know what, we studied  
5 these rigorously, we subjected them to the rigorous  
6 scientific method, unfortunately, phages don't work,  
7 but we know we did the experiments right, controlled  
8 studies, and these were our conclusions.

9                   So what are some of the potential  
10 indications? Abscesses and other infections in which  
11 antibiotics have limited activity. So one that comes  
12 to mind, for example, is osteomyelitis, right? Bone  
13 infections.

14                   Mainstay of therapy for osteomyelitis is  
15 place a PIC line, give the patient six weeks of  
16 intravenous antibiotics, take the patient to the OR,  
17 debride the infected bone, all right? And if there's  
18 hardware involved, the hardware may have to be  
19 removed. More often than not, it has to be removed.

20                   So, boy, it would be great if we could offer  
21 phages for the treatment of osteomyelitis. Now  
22 there's some intrinsic limitations to that. Ischemic  
23 bone is not vascularized and, you know, it may have an  
24 issue getting phages there in the first place, but  
25 that remains to be seen.

1           Pocket device infection -- the one that  
2 comes to mind would be something like a pacemaker  
3 infection. Pacemakers are very common in this  
4 country. They're placed in a small pocket over the  
5 pectoralis muscle over the chest. When they become  
6 infected they generally have to be removed because  
7 untreated pocket device infections are potentially  
8 very dangerous, as you can imagine.

9           With pacemaker leads, these go into the  
10 myocardium, the heart muscle, and the last thing you  
11 want to do is have infected pacemaker leads leading  
12 into the myocardium, and so they have to be removed.

13           I think, as far as this goes, we may end up  
14 really looking more for a prophylactic role for phages  
15 than a therapeutic role because I think we would be  
16 hard-pressed -- we'd have a difficult time selling the  
17 cardiologist on retaining an infected pacemaker, you  
18 know, while we inject phages into the pocket.

19           I think it may be an easier sell to say at  
20 the time you place the pacemaker in the pocket, why  
21 don't we add some phages that are active against the  
22 common culprits at gratis: *Staph aureus*, right, or  
23 coag-negative *Staph*.

24           Orthopedic hardware-associated infections  
25 such as patients with intramedullary rods, external

1 fixators, plates and screws -- very common. Since the  
2 wars in Iraq and Afghanistan in 2003 and 2001,  
3 respectively, the commonest reason for consultation at  
4 Walter Reed for ID has been 20 something year-old  
5 male, status post blast injury, traumatic amputation,  
6 placement of hardware, now with a hardware-associated  
7 wound infection.

8 As I mentioned earlier, telling the surgeons  
9 that the hardware has to come out is usually not met,  
10 you know, with a good reception. Boy, it would be  
11 great if we could introduce a therapeutic that would  
12 enable us to salvage hardware.

13 Burn infections. These are typically  
14 associated with very drug-resistant, slimy, gram-  
15 negatives such as *Pseudomonas aeruginosa* and some  
16 other related GNRs. Maybe there's a role there.  
17 Essentially, anything with biofilms. Catheter-  
18 associated urinary tract infections. We know that  
19 every patient with a catheter in his or her bladder  
20 eventually will acquire bacteriuria. That is bacteria  
21 in the urine. Many of those patients, most of those  
22 patients over time will go on to have catheter-  
23 associated urinary tract infections.

24 How do we treat those? We remove the  
25 catheter, we give them antibiotics, and we put another

1 catheter in, and so it's only a matter of time until  
2 they become re-colonized and re-infected. Maybe  
3 there's a role for phages there, obviating the need.

4 The other one I want to address quickly is  
5 mesh infection. Surgical mesh, right? You go and you  
6 have your herniorrhaphy, you have your hernia repair,  
7 surgeon puts in nylon mesh or Gore-Tex mesh, that  
8 becomes infected.

9 Removal is very difficult. It's not as  
10 simple as just snipping some sutures and just plucking  
11 it out because it gets epithelialized, the tissue  
12 grows over that mesh, and now you're looking at an *en*  
13 *bloc* resection. Maybe there's a role for phages  
14 there.

15 And then other potential indications include  
16 patients with cystic fibrosis, right? These patients  
17 have lung infections, chronic recurring pulmonary  
18 infections characterized by very drug-resistant, gram-  
19 negative bacteria such as *Burkholderia cepacia*,  
20 *Pseudomonas aeruginosa*, and so forth. Extremely drug-  
21 resistant -- multidrug-resistant organisms. Some  
22 other indications I'm not going to address may be the  
23 treatment of patients with bacillary dysentery.

24 Our priorities at the WRAIR. Right now  
25 we're interested in looking at orthopedic hardware-

1 associated infections. I'm going to hand off to my  
2 colleague in a second to talk about some of the  
3 experiments we're doing there, and also perhaps using  
4 phages to treat patients with *Shigella*, shigellosis,  
5 bacillary dysentery.

6 So, look, this is a 39-slide presentation.  
7 Yesterday I narrowed it down to 29 slides. I'm only  
8 on five. I think I'm out of time. I told you I'd be  
9 cognizant of my time, so I'm going to stop here. I  
10 will be here until the lunch break. If anybody would  
11 like to discuss this further, I'll happily stick  
12 around for a bit. Otherwise, I'm going to hand it off  
13 to my colleague, Lt. Col. Tyner.

14 DR. TYNER: Good morning. Hi. I'm Steve  
15 Tyner. Those of you that were here for Schooley's  
16 talk yesterday probably saw my name in one of his  
17 slides, and I think my phone number, too. Joke's on  
18 him, though. I didn't turn -- I haven't activated my  
19 voice mail.

20 So I'm going to try to run through this  
21 quickly. I think Col. Zapor did a great job, and  
22 other speakers have done a good job of highlighting  
23 where the problems are. This is just to emphasize  
24 that my group works on primarily two areas:  
25 militarily-relevant wound infections, and we'll talk a

1 little bit later about bacillary dysentery, or  
2 shigellosis.

3           You guys know that. So we have two  
4 different approaches. One of these approaches, which  
5 is this library-to-cocktail approach that you're going  
6 to hear from Dr. Biswas and Dr. Regeimbal later, is  
7 really a collaboration with the Navy. We interact  
8 with the Navy with this to help evaluate the  
9 therapeutics that they develop. We do not develop  
10 precision cocktails on the Army side.

11           What we do work on *de novo* in-house, Dr.  
12 Mikeljon Nikolich who is participating in this  
13 workshop, is -- fixed cocktails. So these cocktails  
14 are what we call sort of a broad host range, which is  
15 really kind of a misnomer, but essentially it's an  
16 expanded host range phage, so it targets more strains  
17 within a bacterial species than some of the other  
18 phages.

19           I'm going to talk about fixed cocktails  
20 first. These are a number of the different studies  
21 that Dr. Nikolich has been working on, he and his  
22 team. We partnered with Eliava to look at Sb-1, which  
23 is a *Staph aureus* phage.

24           We've expanded that host range in our lab.  
25 We've been isolating phages for ESKAPE pathogens, and

1 then beginning to try to select phages for biofilm  
2 degrading properties, as well as engaging with one of  
3 the other departments that I lead, which is the wound  
4 infection department, to look at phages and  
5 antibiotics and whether or not there's synergy or not.

6 We recently were recipient of an award with  
7 JCVI, and I think Dr. Fouts is here, in the back.  
8 We're going to be a partnering institute with them.

9 Pre-clinical studies. We've been looking at  
10 *aeruginosa*, so, phage against *aeruginosa* in a wound  
11 model. And then, more importantly, clinical studies.  
12 We were a partnering organization with AmpliPhi in a  
13 phase one safety skin trial study that was held at the  
14 Walter Reed Army Institute of Research, or the WRAIR.  
15 That was done last year.

16 This is an example of some of the phages  
17 that we've found against *Shigella*. Actually found 50  
18 lytic phages. They're active against a bunch of  
19 different species of *Shigella*.

20 In fact, the best three phage cocktail was  
21 active against 90 percent of the strains from the  
22 panel of *Shigella* isolates from the Armed Forces  
23 Research Institute of Medical Sciences. That's that  
24 acronym that says AFRIMS. They're located in Bangkok,  
25 Thailand. That's an Army lab in Bangkok.

1           So we're beginning to look at assessing our  
2 best cocktails for shigellosis in our pre-clinical  
3 models which are mouse, guinea pig, and non-human  
4 primates, which we all have internal at Walter Reed.

5           So for fixed cocktail I'm going to shift now  
6 to some of the work which is a little bit more in-  
7 depth with precision cocktails. Again, this is a  
8 collaborative effort with the Navy.

9           Not to belabor the point, I'm sure Dr.  
10 Biswas is going to go into much more, and better,  
11 detail for the system that he's created than I can,  
12 but essentially what they are doing is developing  
13 synergistic phage cocktails so that when you lose  
14 activity with one phage in this cocktail, another one  
15 is still active against the particular bacteria that  
16 you are targeting.

17           This work that was published in AAC is a  
18 collaborative effort between Dr. Regeimbal, who is  
19 sitting in the second row over here, and one of my  
20 scientists, Dr. Anna Jacobs, who's the second author  
21 on this, in which we looked at a five-member phage  
22 cocktail and assessed it in a skin and soft tissue  
23 infection model. This was against a MDR *Acinetobacter*  
24 *baumannii* that we isolated from a war wounded subject  
25 from Walter Reed in 2010. What this graph shows is

1 that the -- there was a phage they call AB Army 1  
2 which was very active against capsule positive  
3 *acinetobacter*.

4 It basically removed all the capsule-  
5 positive organisms, and resultant organisms that were  
6 left that were resistant were capsule-negative, and so  
7 we went back and the Navy found four more phages that  
8 were active against the capsule negatives. So, in  
9 combination, this eradicated the *baumannii* phage  
10 infection.

11 Just briefly, this is the model. These are  
12 CP-treated, or cyclophosphamide-treated, animals.  
13 These are mice. Then they follow up with three  
14 treatments. After the dorsal wound punch, there's a  
15 treatment about four hours after, and then for a  
16 couple days. Then we measure the wound and we do *in*  
17 *vivo* imaging.

18 On the left you can see the phage cocktail  
19 by day five by IVIS has basically removed the wound  
20 pathogen, and on the right you can see the biofilm on  
21 the occlusive dressing is much less robust in the  
22 phage cocktail-treated animal than the animal that was  
23 with PBS.

24 So the cocktail resulted in a reduced bio-  
25 burden, prevention of wound expansion, and a decrease

1 in biofilm formation. So we were very excited about  
2 this because this is a great proof of concept for the  
3 process that Dr. Biswas and team have developed.

4 So we wanted to move further with this, and  
5 so we started thinking, where can we innovate? Where  
6 we need to innovate is in areas, because we're the  
7 military, that are militarily-relevant. I think Col.  
8 Zapor did an excellent job of identifying some areas  
9 that have cross-over civilian military potential.

10 The top on the list for us is orthopedic  
11 hardware-associated infections. These are mainly  
12 biofilm-mediated. The principal organism that's  
13 causing this is *Staph aureus*. Then we also have an  
14 effort looking at enteric infections. So we believe  
15 that phages in this setting are going to be an adjunct  
16 to antibiotics, and we want to understand how they  
17 work in pre-clinical models.

18 So I'm going to walk you through the  
19 orthopedic hardware-associated infections. We did  
20 this in collaboration with the U.S. Army Institute of  
21 Surgical Research which is located down in San  
22 Antonio, Texas. That's where the Army Burn Center is  
23 located. They do a lot of trauma research there, and  
24 so they have a very well-developed rat femur pin  
25 infection model where they look at therapeutic

1 adjuncts to prevent orthopedic hardware-associated  
2 infections.

3           So in this animal, day zero, the animal has  
4 a cut down, and then there's a non-union segmentation  
5 done in the femur, and it's spanned with a wire. Then  
6 *Staph* is added into the wound at that time. The wound  
7 is closed. Six hours later they open it back up, they  
8 wash it with nine liters of isotonic saline, and then  
9 they debride it, much like we would any other service  
10 member that's in a -- that's been injured. When they  
11 first arrive to the first surgical facility, that's  
12 how they're treated.

13           We treat then at six hours, and then 24, 48,  
14 72 hours. At that point we stop treating, and then we  
15 wait for 14 days, and then the animals are euthanized  
16 and we evaluate whether or not there's been a  
17 reduction in CFU.

18           So off the top this is -- for those of you  
19 that are phage guys you're looking at this and saying  
20 why aren't you treating all the way through, and  
21 there's a good reason for that. The reason is there's  
22 a boatload of information, published information, that  
23 this organization has done with this model. We need  
24 to have a baseline of where we need to begin before we  
25 can start modifying the pre-clinical model and

1       modifying how we add therapeutic adjuncts into their  
2       system.

3               So this is a very challenging model, and  
4       you're getting ready to see some data that's not  
5       overwhelming, but I don't want to take the wind out of  
6       the room. All right. So this is the data. The  
7       inoculum was one times ten to the five CFU.

8               Phage treatment. We did local, as well as  
9       systemic. You can see the different doses that we did  
10      there. We did local only, systemic only, and local  
11      and systemic, and what we had is we had a slight  
12      reduction at day 14. Remember, that's 11 days after  
13      the last treatment with phage of *aureus* in the bone,  
14      as well as on the hardware.

15              So it's slightly encouraging. It's  
16      encouraging particularly because this is a very  
17      challenging model. It's also an extremely challenging  
18      organism to treat, and it's in a biofilm.

19              So there's a number of different things that  
20      encouraged us, and we're moving forward and trying to  
21      come up with our next steps, one of which is to modify  
22      this model so that we shorten the time and we're able  
23      to take earlier time points and begin to look at the  
24      effectiveness of phage much earlier in the system.

25              But I like to focus on the positive. What

1 this did for me, if you're going to look at orthopedic  
2 hardware-associated infections, then you need to  
3 evaluate your phage activity against biofilms. They  
4 are evaluated against biofilms, but the process by  
5 which the precision cocktails, and I think the fixed  
6 cocktails for the most part, are derived are phage are  
7 isolated against organisms that are pretty fat and  
8 happy.

9           They're planktonic organisms. *Staph* itself  
10 changes its extracellular receptors quite  
11 substantially when it's in a biofilm as opposed to  
12 planktonic state.

13           So if we're actually interested in clinical  
14 problems where biofilm is the problem, and that's the  
15 reason why it's challenging to treat, then we need to  
16 think about how we're isolating phage or how we're  
17 assessing phage activity against biofilm.

18           In this model there was concomitant  
19 antimicrobial use, and we need to assess phage  
20 activity with concomitant antimicrobials. I think  
21 some papers have recently come out. There was one in  
22 January that looked at *in vitro* phage plus  
23 antimicrobials.

24           I don't think phage is going to work with  
25 every single antibiotic, and we need to assess and

1 understand how well they work both *in vitro* and *in*  
2 *vivo* as we're moving forward because, unlike a basic  
3 science lab, I'm not interested in studying the phage.  
4 What I'm interested in doing is building a  
5 therapeutic.

6 So I'm looking at making different efforts  
7 that we can plug and play and add into a therapeutic  
8 development pipeline. The precision cocktail is in  
9 collaboration with our Navy partners.

10 And then, of course, you know, how phages  
11 are administered is an important point, but I think  
12 it's less important early than the phage activity  
13 against biofilms and with concomitant antibiotics.

14 I have been charged to get us back on time.  
15 So I've got one more -- I think one more slide that  
16 I'm going to show you.

17 This is a biofilm assay that Dr. Jacobs has  
18 been working on where we're looking at a phage  
19 cocktail, I think this is a precision cocktail,  
20 against a biofilm. So it's the *Staph* biofilm. You  
21 can see there there's a nice dose response against  
22 phage. The biofilm was grown in TSB, plus one percent  
23 sodium, plus one percent glucose.

24 The literature suggested that this was one  
25 of the more accepted ways to grow a *Staph* biofilm.

1 Literature's a little all over the place, I think, in  
2 terms of how people grow these.

3 TSB is in no way a non-nutritive media, it  
4 is a nutritive media, so that's one caveat, but there  
5 is a dose response to phage. So the biofilm was grown  
6 for 24 hours, remove all planktonic cells, so it's a  
7 fairly -- it's a mature biofilm, from an *in vitro*  
8 perspective. We add phage for 24 hours, then we do  
9 CFU and look at absorbance.

10 You see a nice dose response, and then you  
11 see about a log, log and a half reduction, almost two  
12 log reduction, in CFU after treatment. So the phage  
13 work in *in vitro* setting against biofilm.

14 So what's going on *in vivo*? Why is it so  
15 difficult to treat *in vivo*? I think it's a whole  
16 'nother hurdle that we're trying to come up with a  
17 technical solution for.

18 So, with this, I'd really like to thank my  
19 colleagues. I really have to thank my colleagues not  
20 just at the Walter Reed Army Institute of Research,  
21 but at the Naval Medical Research Center, in  
22 particular, BDRD. And some of those colleagues are  
23 sitting here, in the second row, and then Cmdr.  
24 Stockelman's over here, three rows back.

25 Without their engagement, their input, their

1 energy and intelligence, it would have been very hard  
2 to get to this point. Thank you.

3 DR. RANALLO: Okay. Thanks, Stu, and  
4 thanks, Col. Zapor. I appreciate it very much. So  
5 we're going to transition a little bit to the next  
6 talk. It's by Dr. Breck Duerkop who just recently  
7 started his lab in 2016. He post-doc'ed with Lora  
8 Hooper at UT Southwestern. His talk is going to focus  
9 on *Enterococcus* and receptors and resistance  
10 mechanisms.

11 DR. DUERKOP: All right. Good morning. So  
12 I'd like to first start out by thanking the organizers  
13 for giving me an opportunity to spend a little time  
14 talking about my fledgling laboratory that I just  
15 started at the University of Colorado, where we're  
16 interested in a number of different aspects of phage  
17 biology, one of them focusing on receptors that phage  
18 utilize to infect and kill gram-positive pathogens  
19 like *Enterococcus*. All right.

20 So just a little bit of background on phage  
21 receptors in gram-positive bacteria. So there's a  
22 number of different moieties on the surface of gram-  
23 positive cells that can be targeted by phage, and  
24 these include standard polysaccharides that coat the  
25 surface of the cells, peptidoglycan which, you know,

1 obviously forms a thick layer around the body of the  
2 gram-positive bacterial cell, and then other more  
3 interspersed polysaccharides like wall teichoic acid,  
4 lipoteichoic acid.

5 Our interest has primarily been in membrane  
6 proteins that are, you know, embedded in the cell wall  
7 of gram-positive bacteria, and how phage target these  
8 receptors.

9 So I would argue that gram-positive  
10 receptors are kind of understudied in comparison to  
11 receptors in gram-negative bacteria, especially in  
12 classic organisms like *E. coli*, but, due to the fact  
13 that we're interested in the potential for  
14 therapeutics for phage, I think there's a need to  
15 better understand the gram-positive cell surface in  
16 terms of how phage interact with that molecular body.

17 Interestingly, I kind of didn't realize  
18 this, but there's a lot of interest in phages in the  
19 dairy industry for industrial applications due to the  
20 fact that large dairy fermentations can usually be  
21 destroyed by organisms that are utilized during  
22 fermentation by phage such as *Lactobacillus* and  
23 *Lactococcus*. All right.

24 So the focus of my lab is really looking at  
25 *Enterococci*, and so these are facultative anaerobic

1 gram-positive bacteria, and they're natural commensals  
2 that are found both in the intestine and in the oral  
3 mucosa. *E. faecalis* and *E. faecium* represent the most  
4 common drug-resistant versions of this genre, and they  
5 can, under certain environmental perturbations, like  
6 antibiotic treatment, go on to form intestinal  
7 dysbiosis that can lead to sepsis.

8           So over the last several years we've been  
9 collecting phage from wastewater. This is just an  
10 image showing the Dallas/Ft. Worth water reclamation  
11 facility where we've sampled a lot of different areas.  
12 What we found is that wastewater, as many of you know,  
13 is a very abundant source of phage, and specifically  
14 for *Enterococcal* phage.

15           So we can find these phage in fecal-  
16 contaminated water sources, whether this is primary  
17 effluent coming directly out of the flow at the  
18 facility, or even some of the, you know, more  
19 processed water further down the line.

20           These sewage phage are actually quite  
21 effective at killing *E. faecalis*, and so we've been  
22 isolating these over time from these samples and  
23 purifying them to high purity to then study their  
24 interactions with *E. faecalis*.

25           So I'm going to talk to you today primarily

1 about one phage, but what we found was that we have  
2 two more or less identical phage at the genetic level.  
3 They have some polymorphisms that, you know, make them  
4 a little bit different at the nucleic acid level, but  
5 primarily these phage are about 97 percent identical.  
6 We call them VPE25 and VFW.

7 I'm just showing you here the genetic  
8 organization of these phage. They're modular, as many  
9 phage organize their genomes in terms of organizing  
10 different regions of the genome in terms of their gene  
11 content.

12 So we've been interested in kind of  
13 exploring these *Sipho* phages as potential targets that  
14 can be used to manipulate *Enterococcal* communities,  
15 but the first question we really wanted to answer is  
16 how do they actually interact with the cell surface of  
17 *E. faecalis*?

18 So what we did is we grew *E. faecalis* in the  
19 presence of these phage over time, and we just  
20 isolated resistant colonies that came out of these  
21 growth cultures. What we found after doing some  
22 genomics to basically map resistant genome reads to  
23 our reference strain, we found that phage resistance  
24 mapped to a membrane protein that was encoded by a  
25 gene called EF0858.

1 EF0858 is a homologue of two different  
2 proteins that have been described in the literature,  
3 one called UEB and *Bacillus subtilis*, which is known  
4 to be involved in phage absorption for a particular  
5 phage called SSP1, and then in *Lactococcus lactis* it  
6 has been termed PIP for phage infection protein, and  
7 so we kind of went with that nomenclature for our *E.*  
8 *faecalis* homologue.

9 So what I'm showing you here is a cross-  
10 streak, and you're going to see several of these  
11 throughout the talk. Really what this is is we just  
12 take the bacteria of interest, we streak it in one  
13 direction on a plate, we take our phage of interest  
14 and counter-streak that, you know, vertically, and we  
15 can look for the presence, or absence, of killing.

16 What we see is that with VPE25, it can  
17 effectively kill wild type *E. faecalis*. If we knock  
18 out PIP by making a clean deletion, you can see that  
19 you're no longer susceptible to infection. And we can  
20 complement this. So this shows that PIP is sufficient  
21 for infection of *E. faecalis*.

22 So we wanted to learn a little bit more  
23 about PIP. So not much had been really, you know,  
24 studied in the literature, other than the fact that it  
25 was involved in phage infection. So, due to the fact

1 that we have many genomes now available, we kind of  
2 compiled a number of these PIP homologues across the  
3 *Enterococci*, specifically in *E. faecalis*, and we just  
4 aligned these proteins.

5 What we found was that the N- and C-termini  
6 of these proteins are actually quite conserved;  
7 however, there's a large extracellular -- there's a  
8 large variable region in the center of this open  
9 reading frame. What we found was that this variable  
10 region, or this region of high diversity, actually  
11 maps to a predicted extracellular domain that would,  
12 in theory, be on the outside of the cell.

13 So we were curious if this diverse region  
14 actually played any role in the biology of *E. faecalis*  
15 during phage infection. So what we did is we took our  
16 two phages and we did cross-streaks -- I'm just  
17 showing you this here on a very crude heat map --  
18 where we looked for the sensitivity, or the  
19 resistance, of these different phage based on whether  
20 they could be infected by one phage or the other.

21 What we found was that a number of strains  
22 could be infected by both phages, and some phages  
23 could actually only infect one strain or another.  
24 When we actually did alignments of this variable  
25 region in PIP, what we found was that they clustered

1 identically to their susceptibility pattern.

2           So what you can see here is all the strains  
3 that cluster in black are susceptible to both phage,  
4 whereas the ones in blue are only susceptible to  
5 VPE25, and then, vice versa, the ones in red are only  
6 susceptible to VFW. So what this told us is that the  
7 diverse region in PIP likely drives phage tropism for  
8 the surface of the *E. faecalis* cell.

9           So we wanted to test this genetically, so  
10 what we did is we took a strain called ElSol *E.*  
11 *faecalis*, and if you just, you know, reference the map  
12 on the right, ElSol is actually resistant to VPE25,  
13 but susceptible to VFW.

14           So if we actually express the V583 version,  
15 which is our standard wild type strain that we work  
16 with in the lab, in ElSol on a plasmid, you can change  
17 tropism. So that's what we're showing on the second  
18 from the top cross-streak.

19           And then if we cross that V583 version of  
20 PIP into the chromosome and make a clean insertion  
21 onto the genome, we get a similar phenotype.

22           But I think the most important thing is if  
23 we actually engineer a plasmid that only has the  
24 variable region from V583 that's different from ElSol  
25 -- so this is the last, the very bottom cross-streak

1 you're looking at -- that's sufficient to drive  
2 tropism change.

3 So what this tells us is that the variable  
4 region in the surface protein is likely driving the  
5 specificity of VPE25 for the surface of the *E.*  
6 *faecalis* cell and, most likely, the infectivity of  
7 those phage.

8 So then we asked another question. Can we  
9 actually, you know, go outside of *E. faecalis*, and can  
10 we expand this to related organism such as *E. faecium*?  
11 This became a little bit more I guess muddy in the  
12 sense that when we over-expressed wild type V583 PIP  
13 in *E. faecium* we saw a somewhat mild killing effect on  
14 our cross-streak assay, as you can see there.

15 If we actually spike this phage into growing  
16 culture, what we found was that it could inhibit  
17 growth, but it didn't actually collapse the culture in  
18 terms of, you know, real robust killing like we see  
19 with wild type *E. faecalis*.

20 So we wanted to learn a little bit more  
21 about this. So what we actually did is we actually  
22 looked at phage transcription, and we looked at a  
23 number of genes -- and I'm just showing you one open  
24 reading frame here -- in the presence, and absence, of  
25 phage in the different strains.

1           What we saw is that there's, you know --  
2    after 30 minutes there's a large transcriptional up  
3    regulation of this ORF123 in our wild type *E.*  
4    *faecalis*. You can see in our delta PIP mutant that  
5    there's virtually no transcription below baseline, or  
6    above baseline.

7           However, in *E. faecium* what we saw is we saw  
8    kind of an intermediate transcriptional phenotype in  
9    the wild type version, and then when we expressed PIP  
10   in *E. faecium* we saw that this was elevated by several  
11   logs.

12           But we were never able to actually recover  
13   phage from these cultures. So you'd add phage to  
14   these cultures, it would slow their growth, but when  
15   you titered those cultures you were never able to get  
16   more phage out than what you put in.

17           So what we determined was that these phage  
18   are actually infecting, they're replicating inside of  
19   *E. faecium*, but they can't actually get out of the  
20   cells. So that's what I'm showing you here, in this  
21   bottom graph on the right.

22           So we basically took these cells and we  
23   lysed them by sonication, and then we were able to  
24   liberate a number of different -- a number of phage  
25   from these bacteria. So what this tells us is that *E.*

1     *faecium* actually has a receptor that is sufficient to  
2     promote infection, but that once the phage get inside  
3     the cell and replicate, they can't actually get out.  
4     So that means there's something defective about the  
5     holin, or the lysin that doesn't allow the cell to  
6     actually be lysed from within.

7             So I think this is something that should be  
8     considered in terms of when we're thinking about  
9     engineering phage. If we don't see infection, it  
10    doesn't necessarily mean that -- or killing, it  
11    doesn't necessarily mean infection is not happening,  
12    it may just be that the -- a downstream mechanism has  
13    been blocked.

14            So then of course we wanted to try and apply  
15    these phage to an animal model to see if we could  
16    decolonize *E. faecalis* from an environment where it's  
17    a native organism, and so we've set up some  
18    experiments using germ-free mice. So I come from  
19    Laura Hooper's lab. We study -- most people study  
20    epithelial cell interactions in the microbiota, so we  
21    have many germ-free mice that are accessible to us.

22            So what we did is we took germ-free -- male  
23    germ-free mice, we colonized them initially with *E.*  
24    *faecalis*, and then six hours later we gave them a  
25    single phage treatment. Then we monitored

1 colonization levels at 24, 48, 144, and 216 hours. So  
2 six days, and nine days.

3 We observed a number of interesting things.  
4 So initially, at 24 hours we see a modest reduction in  
5 the colonization levels of *E. faecalis*, about roughly  
6 a log decrease; however, over time we saw that these  
7 levels came right back to levels similar to untreated  
8 animals.

9 And when we actually monitored the phage  
10 abundance in these animals over time, we saw that the  
11 PFU recoverable from the feces actually decreased  
12 considerably.

13 So we were interested to know whether or not  
14 this was due to the fact that maybe the phage were not  
15 getting access to the bacteria or if we had the  
16 outgrowth of resistant bacteria.

17 So we looked at bacteria that were coming  
18 out of these feces and we sequenced a number of these  
19 PIP alleles in *E. faecalis* -- in these strains coming  
20 out of the mouse feces, and what we found was that by  
21 48 hours we were upwards of 75 percent non-susceptible  
22 strains coming out of the mice, and by six days we  
23 were virtually at 100 percent of the isolates were  
24 receptor-deficient *E. faecalis*.

25 These were receptors that had not evolved

1 changes in the variable region, but they were mostly  
2 truncations, or insertion mutants, or polymorphisms  
3 that led to the generation of stop codons.

4 So the next question, and this is kind of  
5 really where I think we're starting to take some of  
6 this work, is I kind of talked to you about this  
7 protein called PIP, but, you know, what does it do? I  
8 mean it probably did not evolve as a protein that's  
9 meant for phage to infect.

10 So one of the things that we're interested  
11 in is identifying novel surface receptors using phage  
12 to better understand proteins in gram-positive  
13 bacteria that might be utilized for lifestyle.

14 So if you look at the domain organization of  
15 PIP, it has several interesting domains. So obviously  
16 it has this variable region in the center, but at the  
17 N-terminus it has this YhgE PIP domain which is  
18 actually conserved in some type 7 secretion proteins  
19 that are considered to be part of the potential  
20 apparatus of the type 7 secretion system in *Staph*  
21 *aureus*, and then at the C-terminus, interestingly  
22 enough, there's a major facilitator super family  
23 domain.

24 These domains are largely involved in  
25 transport of small molecules either inside or outside

1 of the cell.

2 So the fact that PIP is highly conserved  
3 across the *Enterococci*, not just in *E. faecalis*, but  
4 *E. faecium*, and the fact that phage use this to  
5 actually infect the bacteria probably suggests to me  
6 that, or it suggests to me that this is likely an  
7 important protein for some component of its lifestyle.

8 So we set up an experiment where we took  
9 wild type *E. faecalis* and our PIP mutant that was  
10 marked with a tetracycline cassette and we just did a  
11 co-colonization in antibiotic-treated mice.

12 What we found was that by comparing the  
13 competitive indices, so the ratio of the wild type to  
14 the delta PIP, over time, during colonization we found  
15 that the wild type outcompetes the PIP mutant by about  
16 -- after about two weeks. We see about, you know,  
17 roughly, on average, about a log out competition.

18 So what this tells us is that PIP may be  
19 involved in niche adaptation, it may be involved in  
20 some aspect of colonization, and so we're going to  
21 spend some time now in the future to really kind of  
22 run down whether or not this plays any specific role  
23 in colonization.

24 Okay. So I've talked to you so far about  
25 phages that infect through a PIP mechanism. So what

1 about phages that infect in a PIP-independent manner.  
2 So there's a phage that some of you may be familiar  
3 with. It's a very old phage. It's called NPV-1. It  
4 was originally isolated by Gary Dunny's lab from  
5 wastewater back in 1990. It's a *Sipho* phage that has  
6 a non-contractile tail and a prolate head, and it has  
7 -- compared to our VPE25 and VFW phages, it has a very  
8 limited host range.

9 So you can see here that it only infects,  
10 out of at least the collection -- the strains that we  
11 tested from our collection, it only infects two:  
12 OG1RF and JH1. It infects in a PIP-independent  
13 mechanism because it can kill OG1RF delta PIP mutant  
14 and it can also kill the wild type, but it can't kill  
15 V583.

16 So, again, we wanted to, you know, use  
17 genomics to figure out what the receptor is for NPV-1,  
18 and so we did -- we used a similar strategy to what I  
19 described to you earlier in the talk. We came up with  
20 one isolate that we call OG1RF-C. It's an NPV-1-  
21 resistant strain, and it was generated from a  
22 confluent lyse agar plate of OG1RF delta PIP.

23 We did whole genome sequencing on this  
24 strain, and we found three polymorphisms. We found a  
25 polymorphism in *epaR*, which is a sugar transferase,

1       *bgsB*, which is a glycosyl transfer, or  
2       glycosyltransferase, and then *iola*, which is a malonic  
3       semialdehyde dehydrogenase that's involved in inositol  
4       metabolism.

5               So we were interested in the first two  
6       because these are actually enzymes that would be  
7       involved in changing, potentially, the surface of the  
8       bacterial cell. So the *epa* cluster in *Enterococcus*  
9       has been well-characterized by Barbara Murray's group  
10      in Houston over the last decade or so, and it's a  
11      polysaccharide that's composed of numerous  
12      carbohydrates, including rhamnose, glucose, and  
13      others.

14             So we went in and we made an in-frame  
15      deletion of *epaR*, and what we found was that if you  
16      delete *epaR*, similar to the OG1RF-C strain, you get  
17      resistance to NPV-1.

18             We also made an in-frame, a single in-frame  
19      deletion of *bgsB*, and this did not result in  
20      resistance to NPV-1, but it doesn't necessarily mean  
21      it's not involved in resistance because, if you can  
22      see, OG1RF-C tends to be a little bit more resistant  
23      than the delta *epaR* mutant, so these may actually work  
24      together in some way dur -- to promote a fully-  
25      efficient infection.

1           So, in conclusion, what I've told you is  
2           that some lytic *Enterococcal* phages use a conserved  
3           membrane protein that we call PIP-EF, or the  
4           exopolysaccharide Epa, in *E. faecalis*, an  
5           extracellular variable region actually determines  
6           phage specificity for *E. faecalis* hosts, and that  
7           phages can temporarily reduce *E. faecalis* abundance in  
8           the mouse intestine, yet resistance is rapidly re --  
9           acquired, suggesting that, you know, cocktail  
10          methodologies might be more applicable in this  
11          situation.

12                 And then PIP-EF conservation among the  
13          *Enterococci* may be linked to efficient intestinal  
14          colonization.

15                 So kind of some of the future directions  
16          where I kind of see some of this work going and how,  
17          you know, this will contribute to the phage -- to the  
18          field of phage biology, and also phage therapy, is  
19          we're in a good position now to expand the repertoire  
20          of virulent phages that infect *E. faecalis*. I know  
21          there's a number of them out there, and I'm learning  
22          more and more every day.

23                 So we're returning to wastewater to -- for  
24          new virus discovery. We've actually received 20  
25          *Enterococcal* phages from the Navy from Biswajit Biswas

1 who generously provided those for us, and we're going  
2 to spend a significant amount of time looking for  
3 putative receptors for a number of those phages, and  
4 then we've started to establish methods for the  
5 genetic modification of existing phages to alter  
6 receptor specificities and CRISPR technology.

7           So I guess, from a broader perspective, can  
8 we actually use phages to identify conserved proteins  
9 that might be indispensable for *Enterococcal*  
10 lifestyle? So phages target surface proteins that are  
11 conserved, and sometimes these are important for, you  
12 know, the viability of the cell.

13           So, for instance, PIP-EF looks like it is  
14 involved in colonization, but also, the *epa* cluster of  
15 polysaccharide genes has been shown to also be a  
16 colonization determinant, so this may be a useful  
17 method that we can use to identify novel proteins that  
18 could be targeted for other types of medical  
19 applications or drug applications.

20           And then I think a broader, more kind of  
21 hand waving direction is what are the physiological  
22 effects of phage predation in the intestine? That's  
23 something we're very interested in. You know, does  
24 phage predation have an effect on the global community  
25 of commensals that are in that environment, and how

1 does that impact the host? Does phage predation  
2 select, you know, on select bacteria actually  
3 influence the biology of the mammalian host, such as  
4 impacting innate immunity, adaptive immunity, things  
5 of that nature?

6 So, with that, I need to acknowledge a  
7 number of people. I need to acknowledge, of course,  
8 my lab, which has just started at the University of  
9 Colorado.

10 I really need to acknowledge Dr. Kelli  
11 Palmer at UT Dallas who's been an active collaborator  
12 throughout the course of all of these studies, my  
13 former mentor, Laura Hooper, for allowing me to take a  
14 phage project in a direction that was very different  
15 from what the lab traditionally works on, and then  
16 some of my new colleagues that I've started  
17 collaborations with here.

18 So I thank you for your time, and I can take  
19 questions if there's any time left. Thank you.

20 DR. RANALLO: Yeah. So we do have time for  
21 questions if anybody has any questions for either of  
22 the speakers this morning. I apologize. I didn't  
23 give you guys time for questions.

24 AUDIENCE MEMBER: I'll ask a question. So  
25 in your resistant mutants, I mean, so you look at just

1 kind of killing in liquid and that kind of  
2 sensitivity. Do you do like adsorption rate  
3 experiments or anything like that to see if it's gone  
4 down or absent?

5 DR. DUERKOP: Yeah. So we've done some  
6 adsorption experiments, especially with the PIP  
7 mutant, and there's no adsorption difference. So I  
8 just didn't have time to show that data, but that  
9 data's published. The phage adsorb fine, but what we  
10 think is happening is that the -- is that PIP actually  
11 promotes DNA entry into the cell.

12 MR. DIXON: Morning. I'm Dennis Dixon from  
13 NIAID. I'm an interloper from the other room. I  
14 wanted to come in and commend Col. Zapor for his point  
15 on reluctance of the infectious diseases community  
16 when actually confronted with phage as an experimental  
17 possibility.

18 I do see the same disconnect between give us  
19 something new, we have to have some alternative and  
20 something innovative, and then when you present the  
21 community with this as an option, would you be  
22 interested in moving forward with this, well we don't  
23 know, it looks so different and we don't know if it'll  
24 work, even though you have a DSMB in place that's  
25 monitoring safety, and you have all the steps you need

1 to determine evidence to guide your decision so you  
2 will know.

3 So I liked your idea about specialty  
4 populations, where maybe the mainstream ID doc doesn't  
5 seem them on a recurring basis, moving to things like  
6 spinal cord injury, where you know the consequences of  
7 repeated catheter insertion, or the plates and  
8 implants from surgery, because surgeons generally have  
9 no reluctance to give something such as antibiotic,  
10 even if it's not exactly an antibiotic.

11 So that might be worthy of further  
12 discussion, on how to start to have discussions to  
13 engage the community that's going to be necessary to  
14 buy in to any clinical evaluation. Thanks.

15 MR. CHEN: Yeah. Good morning. Rong Chen  
16 from Phagelux. I have question to Dr. Tyner. I am  
17 very interested in your wound model. I found it very  
18 interesting to see on the slide, it looks like the  
19 topical application is better than systematic, right?  
20 Its look like at least similar, or even better.  
21 That's my understanding.

22 So, and another question is that did you  
23 found any difference between -- in the systemic use  
24 between IP, IV, and SC?

25 DR. TYNER: Okay. Thanks. You're right.

1 It looks as if perhaps putting the phage into the --  
2 you're talking about the rat model with the orthopedic  
3 heart? Yeah.

4 MR. CHEN: Yeah.

5 DR. TYNER: So it looks like that might be a  
6 little bit more effective, but the N is so small and  
7 the effect right now is not large enough to really  
8 draw a definitive conclusion.

9 The other delivery was IP. We did not -- we  
10 have not yet tried IV or SC, but we have to solve the  
11 -- part of the issue with the effect of the  
12 therapeutic on reducing the biofilm, before we start  
13 looking at the delivery method, although delivery  
14 method is important. You're right.

15 MR. CHEN: I notice there's a difference on  
16 the dose between your topical and the systemic. It's  
17 2.5 and 1.75. They're different because of dose, or  
18 difference is because of route?

19 DR. TYNER: That's a good question. I'm  
20 happy to discuss that with you after. We probably  
21 should rope Dr. Jacobs in for that discussion.  
22 Thanks.

23 AUDIENCE MEMBER: Hi. Nancy from Phagelux.  
24 I just have a couple of questions here for your  
25 prosthetic joint infection models.

1 I was wondering if you had looked at the  
2 activity of phages if you pre-treat your nail or your  
3 implant versus if you do the post-treatment after the  
4 infection has started.

5 DR. TYNER: That's an excellent question.  
6 We have not done that yet. Might also be interesting  
7 to look at whether or not if we deliver antimicrobials  
8 first, then add phage, if there's a difference than if  
9 we add phage first and then do antimicrobials.

10 AUDIENCE MEMBER: And maybe a follow up  
11 question on that. Well, maybe more a follow up  
12 question on what Rong was discussing. Have you tried  
13 to do the phages intramedullarly? So you would just  
14 make a hole inside of your tibial cavity, put the  
15 phages in it, and see how the infections would result.  
16 Think it might be very different from --

17 DR. TYNER: That's an excellent point. No,  
18 we have not tried that yet.

19 AUDIENCE MEMBER: Okay. Thank you. Thanks.

20 DR. RANALLO: Okay. Thank you. Let's move  
21 on to our next speaker, Dr. Paul Turner from Yale  
22 University. We heard a little bit about Paul's work  
23 yesterday, but we're going to hear much more in-depth  
24 detailed information about how selective pressures can  
25 reduce virulence and sensitize against antibiotics.

1           So the -- Paul's talk is using phage to  
2           select for evolution or reduce virulence in pathogenic  
3           bacteria. Thanks, Paul.

4           DR. TURNER: All right. Good morning,  
5           everybody. Pleasure to be here. I'd like to thank  
6           the organizers for inviting me. So what I'm going to  
7           do today, first talk will be a little bit about my  
8           background and the mission that we have in my  
9           laboratory.

10           I have a very broad interest in the  
11           evolution of microbes, and we focus a lot on viruses,  
12           so on the left are very familiar pictures for this  
13           audience of phages and bacteria, but we also look at  
14           other types of viruses, especially mosquito-borne  
15           viruses. So we do evolution experiments on dengue  
16           virus, and chikungunya virus, and some other human  
17           pathogens.

18           So what I want to do today is demonstrate  
19           for this one project how there was a nice move from  
20           basic research, longstanding interest of mine in  
21           evolutionary biology, that in a very short period of  
22           time led to, you know, we're on the cusp now, we hope,  
23           of investigational new drug status and continuing to  
24           pursue that for phages, especially a phage that we  
25           found in a lake in Connecticut that -- you heard a

1 little bit about that yesterday from my colleague  
2 Deepak. Okay.

3 We like to address big questions, and here's  
4 kind of a big question. Why are there so many species  
5 on Earth? As an evolutionary biologist, it's very  
6 obvious to me that evolution involves compromises.

7 So one of the most misunderstood concepts in  
8 biology, unfortunately by the lay -- public, is how  
9 evolution occurs.

10 So what is not at all controversial and what  
11 Darwin first, and best, articulated is that organisms  
12 interact with their environment, and the variants that  
13 leave more progeny, are the ones that end up being  
14 enriched in those populations, and the traits that  
15 they have end up dominating populations through time.  
16 So the only controversy is how much people want to  
17 believe that that happens in humans.

18 But the main point is that natural selection  
19 often leads to trade-offs, and I'm finding that trade-  
20 offs in my career are a very prevalent thing that we  
21 observe in our research.

22 Essentially, it works this way. If you  
23 improve in one trait, it doesn't necessarily mean that  
24 you're going to improve in other traits  
25 simultaneously, and often you sort of give up the

1 ability to perform another trait well. This opens up  
2 niche space for organisms that do the opposite. So,  
3 in this way, you have, through eons of time, species  
4 diversity evolving on the planet.

5 The gentleman on the right is one of my  
6 colleagues at Yale, Steve Stearns, and he is very  
7 famous for life history theory, which is this general  
8 idea that traits cannot be simultaneously maximized.  
9 An interesting general trade-off, this is a talk for a  
10 different day but you see this also in viruses, is  
11 that survival versus reproduction is something that is  
12 a difficult thing to maximize on both sides.

13 This, I would say, is one of the  
14 cornerstones of evolution by natural selection, and  
15 you can demonstrate it in *Drosophila* populations, but  
16 also in viruses. That if they evolve greater  
17 reproduction, it might take away from their stability,  
18 and vice versa.

19 So I want to step back a little bit to a  
20 system that is not a phage of humans, but it is one of  
21 the first phage systems, virus systems, that I started  
22 working on in the 1990s.

23 So this is a phage called phi-6 that infects  
24 *Pseudomonads*, especially *Pseudomonas syringae*  
25 pathovars, and it's a well-characterized system with a

1 segmented genome. I started working on it because of  
2 its segmentation and RNA genome because it mimics  
3 genetics of human pathogens like influenza and hanta  
4 viruses.

5 So you have a cartoon of the familiar lytic  
6 infection cycle, and in the middle here there's a  
7 picture of these phage particles, visible as little,  
8 white spheres, that are lined up along the type 4  
9 pilus of these bacteria.

10 So this is the initial receptor site for  
11 this phage in nature, and the type 4 pili are also  
12 what these bacteria use to twitch across a leaf  
13 surface and enter into the stomata. So this is  
14 absolutely essential as a structure for these bacteria  
15 to get inside of a plant and to be pathogens. And,  
16 not surprisingly, you see this a lot in phage biology  
17 and other virus systems. These viruses have evolved  
18 to use as a receptor something that is absolutely  
19 essential to their hosts.

20 What we have seen in the laboratory is that  
21 the resistance to the phage *in vitro* easily occurs if  
22 the bacteria simply shed these pili. They get rid of  
23 the type 4 pili.

24 Now, this is a bacterial pathogen of some  
25 interest in agriculture. It causes halo blight

1 disease, which is a big deal in crop production of  
2 beans. So if they had this option in nature they  
3 would be out of luck in terms of bacteria surviving in  
4 their natural environmental.

5 If the pilus loss occurs, they cannot get  
6 inside of the leaf, as I mentioned. So I would call  
7 that a conditional virulence factor, meaning that if  
8 you simply took the bacteria and you put them in a  
9 plant, they will happily function as pathogens.

10 So what I would assert here is that the  
11 interaction of the phages with these bacteria  
12 demonstrates that the bacteria can easily be forced  
13 into an evolutionary trade off. If they evolve  
14 resistance to the phage, then this lowers their  
15 pathogenicity.

16 You know, I'd seen this for a very long  
17 time, since the mid-'90s, and it was of interest to me  
18 simply because I was using this phage in experiments.  
19 Maybe about four or five years ago, really in earnest,  
20 my group started looking at this property in phages of  
21 humans in human -- phages of human-associated  
22 bacteria, of course.

23 So could you use the same principle to drive  
24 our thinking in developing, or at least finding,  
25 better candidates for phage therapy. So here, the

1 general question is can phage therapy also exploit  
2 evolutionary trade-offs?

3 By now, at this point in the conference,  
4 this is a little familiar to people, but firstly,  
5 antibiotics are becoming less useful, MDR bacteria are  
6 on the increase, *Pseudomonas aeruginosa* is  
7 particularly worrisome for CF patients, severe burn  
8 and immune-compromised patients.

9 So what we've focused on are efflux pumps,  
10 which I think are these fascinating complexes of  
11 proteins that span the inner and the outer portion of  
12 the cell of bacteria like *Pseudomonas aeruginosa*.  
13 These efflux pumps are transport proteins that help  
14 the bacteria efficiently remove a wide variety of  
15 drugs from the cell.

16 They have a lot other properties as well.  
17 They function in host colonization, evasion of host  
18 immunity, and biofilm formation, but obviously this is  
19 a big problem in *Pseudomonas aeruginosa*. That if you  
20 throw an antibiotic at it and it manages to get in, it  
21 can be very effectively pumped out.

22 So efflux pumps are typically chromosome  
23 encoded, they're genetically conserved -- that turned  
24 out to be important in the study that I'm going to  
25 focus on, and I'll try to remember to get back to that

1 later -- they are generally found in gram-negatives,  
2 and for many antibiotic classes, but not all, these  
3 are the major determinants of how the resistance would  
4 occur for the antibiotics.

5 So kind of a useless slide at this point.  
6 Phage therapy is amazingly interesting, and we should  
7 invest in it further.

8 So here is another cartoon to help  
9 illustrate a point that really is the core of this  
10 project. So this is a lytic infection cycle, very  
11 obviously. If you use a phage to target a bacterium,  
12 then, in essence, I would expect, as an evolutionary  
13 biologist, you're going to get the same problem that  
14 often occurs any time an organism faces a selective  
15 challenge. It's going to be selected to change.

16 So now I'm showing the bacteria in this  
17 cartoon. It is now presenting different-colored --  
18 blue-colored proteins now that is not able to be used  
19 by this phage to enter and initiate the infection  
20 cycle. So if I throw a phage at a bacterium, the  
21 natural consequence is it's going to select for  
22 increased phage resistance.

23 So wouldn't it be cool if that came along  
24 with increased antibiotic sensitivity? That's not  
25 only cool, but that's also the take home of my talk as

1 well otherwise I wouldn't be suggesting it. So this  
2 genetic trade-off between phage resistance and  
3 antibiotic sensitivity would of course improve  
4 antibiotal -- antimicrobial therapy options and  
5 would extend the lifetime of our current antibiotic  
6 arsenal.

7           And I want to really emphasize that. So if  
8 you have drugs that are approved currently and they're  
9 in use, if you can use phages to interact with  
10 pathogenic bacteria and convert them into genotypes  
11 that are susceptible to something that's already  
12 approved by the FDA, then you have a faster track to  
13 being able to use phages, I would say, in therapy.

14           So we found such a phage. It's abbreviated  
15 as OMK01 for outer membrane knockout one. It's in the  
16 family of *Myoviridae*. It's a lytic phage that binds  
17 to that outermost protein in many of the very commonly  
18 found efflux pumps in *P. aeruginosa*, these Mex system  
19 efflux pumps.

20           We confirmed that using a mutant knockout  
21 library that we got from University of Washington. So  
22 we know that when the genotype that has the *oprM* gene  
23 knocked out, that is the only strain that this phage  
24 cannot infect.

25           So we discovered in sequence this phage

1 which has a pretty whoppingly large genome, but we  
2 found that in 2016, and it does force this genetic  
3 trade-off that I mentioned. The phage-sensitive  
4 bacteria can efflux antibiotics, but they're killed by  
5 the phage, and the phage-resistant mutants have an  
6 impaired ability to efflux antibiotics. So that  
7 demonstrates the interaction. Again, that was found  
8 in a contaminated lake in Connecticut called Dodge  
9 Pond.

10 So probably obvious to many people in the  
11 room, but I want to make sure you understand the core  
12 thing that we're measuring in the table that I'll show  
13 in a moment. So what you should keep in mind is that  
14 the evolution of *P. aeruginosa* resistance to this  
15 phage causes sensitivity to certain drugs.

16 So how you easily measure sensitivity to  
17 drugs for bacteria is through a MIC assay, minimum  
18 inhibitory concentration. So this agar plate has a  
19 lawn of bacteria growing on it, and imagine you've got  
20 a strain that is in that lawn that grows up right next  
21 to a Kirby-Bauer disc that you had placed on the lawn,  
22 and that has antibiotic leaching out from it. If it  
23 doesn't care about the antibiotic, it grows up right  
24 to the edge of the disc.

25 Well, what I'm emphasizing is that strains

1 of these bacteria that become resistant to the phage  
2 no longer have that property. So they are one  
3 mutational step away from having a much larger killing  
4 zone and a much greater sensitivity to antibiotic.

5 So I'll show you that in the following table  
6 that was sort of a compilation of the data that we  
7 presented in the 2016 paper.

8 So let's begin first with -- efflux pump  
9 literature does implicate certain antibiotics and  
10 antibiotic classes for which efflux pumps function,  
11 and it's pretty rock-solid evidence.

12 So if we begin with tetracycline and  
13 erythromycin, you can see that the isolate MIC has the  
14 number shown in the third column, and when these  
15 bacteria -- and basically what I should emphasize,  
16 that this table is kind of a compilation of data from  
17 multiple bacteria, but I'll get into that more in a  
18 moment.

19 So the phage-resistant isolate MIC changes  
20 dramatically. You'll see in the final column there's  
21 a fold increase drug sensitivity that's a very  
22 impressive number.

23 Now we move on to -- efflux pump is  
24 associated with these other four antibiotic classes,  
25 but the evidence isn't as rock-solid. Nevertheless,

1 you get a change in the isolate MIC versus the phage-  
2 resistant isolate MIC. It's not as dramatic of an  
3 increased drug sensitivity, but the asterisks are  
4 showing you how these agree with break points for  
5 clinical importance. So it has now changed the  
6 bacterium to a clinically relevant resistance to  
7 susceptibility instead.

8 And finally, efflux pumps are not involved  
9 in penicillin class antibiotics. Moving them out of  
10 the cell. This is due to other types of mutations  
11 that happen in the chromosome. You can think of this  
12 last example here as a control, and, not surprisingly,  
13 we saw no change in the fold increase drug  
14 sensitivity.

15 So everything agrees with my assertion that  
16 the interaction of the phage with the efflux pump  
17 protein is placing selection pressure on these  
18 bacteria to change, and they change in a way that  
19 makes them a better outcome for humans in terms of our  
20 ability to treat them with existing drugs.

21 I'll now show you a bit of the unpublished  
22 data in my talk. I think I have time for this. Not  
23 very many slides of it.

24 So this is a cartoon that probably you can  
25 figure out this is a bacteria biofilm. The problem

1 with these little, red, I guess they're circles,  
2 trying to get through that biofilm at the bacteria is  
3 that a biofilm is very resilient to antibiotics  
4 getting in.

5 If you have the phages that are interacting  
6 with the biofilm and they can disrupt it and allow  
7 those cells to become exposed to the antibiotic, then  
8 you can get a synergistic activity of killing for the  
9 phages and the antibiotic.

10 So what we thought is really the promise of  
11 this phage and, frankly, why it worked in a patient --  
12 and I'll talk about that more in a moment -- is that  
13 there's a synergistic interaction that is expected.

14 So here are some unpublished data where --  
15 focus on the taller bars in each one of these  
16 examples. I'm kind of in shock and awe that there's  
17 very little in the literature on commonly-used  
18 substrates that you place in the human body and the  
19 ability of bacterial biofilms to form.

20 We know this, surgeons know this very well,  
21 and yet you don't see very much in the literature of  
22 the ability of, say phages versus antibiotics to  
23 tackle that problem. So these data illustrate that  
24 point.

25 The three bars on the right in each case

1 show you that in a control versus these two  
2 antibiotics, there's really no action of the  
3 antibiotic in disrupting the biofilm and reducing cell  
4 density, whereas the phage alone, which is the bar on  
5 the left-most in each one of the categories, this is  
6 this phage and its ability to break apart the biofilm.

7 The asterisks show you the cases of where  
8 the combination of the phage and the bacteria -- I'm  
9 sorry -- and the antibiotic are doing a better job at  
10 killing the bacteria than the phage alone, and in the  
11 majority of the cases, that's what we observe. So  
12 that's a very promising result.

13 So I said that the data that I showed you  
14 quickly from the '16 paper were for a variety of  
15 strains. Indeed, this worked for laboratory model  
16 strains PA01, PA14. It worked on clinical isolates  
17 from multiple sources.

18 It also worked on environmental isolates,  
19 bacteria that we pulled directly from an estuary, and  
20 also from human homes in the Louisville, Kentucky  
21 area. Everybody, if you don't know this, you  
22 generally have *Pseudomonas aeruginosa* growing at least  
23 in your kitchen sink, if not in your bathroom sink as  
24 well.

25 So the objective is to examine the impact of

1 this phage on a much larger set of isolates, and  
2 that's what we have as submitted grants to NIH, as  
3 well as to the Cystic Fibrosis Foundation.

4 The objective is, with FDA approval, we  
5 would use this phage to treat chronically-infected  
6 human volunteers. So yesterday you did hear about  
7 this one case presented by Deepak where we did  
8 successfully treat an MDR *P. aeruginosa* biofilm  
9 infection that was associated with aortic arch  
10 replacement. That case study is still in review, but  
11 we are optimistic that it will come out soon.

12 Nevertheless, we were able to talk about  
13 this publicly, so we mentioned it in media  
14 presentations, on public radio international, People's  
15 Pharmacy, and Carl Zimmer, the science writer, had a  
16 very nice piece on this late last year, so you can go  
17 look for it on the web, if you choose.

18 The objective for the future work is to test  
19 the safety and efficacy of this in animal models. So  
20 I think this is a very interesting project, where it  
21 went to discovering something that was found through a  
22 natural product sort of pipeline, to bring something  
23 interesting that might be useful for translational  
24 medicine, and quickly we found a patient and we helped  
25 the patient, and now we're doing, I would say, a lot

1 of backfill.

2 So we were awarded an NIH pre-clinical  
3 services award, where there's a contract to a team at  
4 University of Louisville who are testing the safety  
5 and efficacy in a mouse model for lung pneumonia in  
6 immunocompromised patients. So that study is still  
7 underway. I can't tell you very much about it.

8 Some of the controls in that study had to be  
9 repeated, so the entire thing is being repeated next  
10 month, but I found this data set to be pretty  
11 interesting. What that laboratory at Louisville did  
12 was, even though the experiment has to be repeated,  
13 they sent us tissue samples from the mice in this  
14 three day experiment that -- we were able to retrieve  
15 phage from the animal tissues that were subjected to  
16 phage trying to control the infection.

17 So UNC-D is this pathogenic strain that they  
18 use in their pneumonia model, and focus on the data  
19 set in gray there, the left-most one. It's showing  
20 the efficiency of our phage that we sent them and its  
21 ability to grow on that pathogenic strain relative to  
22 our typical lab strain that we would use to enrich it,  
23 PA01. And they don't grow as well on the pathogenic  
24 strain, but they grow on it.

25 So after only three days, in the vast

1 majority of these cases, the phages we isolated from  
2 those tissue samples are remarkably better by orders  
3 of magnitude in growing on the target bacterium.

4 As an evolutionary biologist, I will tell  
5 you that impresses the heck out of me because this is  
6 a DNA phage, and I think it is demonstrating if you  
7 put it in this very novel environment of a mouse --  
8 animal -- an animal with -- that is used in the  
9 experiment, there is strong selection pressure on it  
10 to do its job very well in targeting the bacteria that  
11 are there and present for it to grow on.

12 So my point is that strong selection can  
13 happen *in vitro*, and even stronger selection can  
14 happen *in vivo* in some circumstances.

15 So I'll finish up by saying that we want to  
16 continue with our clinical application of OMK01, and  
17 we did acquire the IND in 2016 for compassionate use.  
18 We have a teleconference, I found out only yesterday  
19 so I didn't put it on this slide, with FDA next month  
20 to talk about the possibility of this phage going into  
21 clinical trials.

22 The targeted diseases are ambitiously,  
23 hospital-acquired pneumonia, CF-associated pulmonary  
24 infections, catheter-associated UTIs, and burns.

25 We thought we would make faster strides in

1 agriculture. I'll have you -- I'll just be completely  
2 transparent and honest about that. So we know that a  
3 lot of agricultural systems we rely on to feed an  
4 ever-hungry world are having just as big a problems  
5 with antibiotic-resistant bacteria: the shrimp  
6 industry and many leafy plants, so the development of  
7 phages for bio-control and agricultural systems, I  
8 think, has amazing promise as well, and that's  
9 something we would like to get into eventually.

10 So I'd like to acknowledge the folks who  
11 actually did the work because all I do is look over  
12 people's shoulders and make them nervous. I really  
13 have to credit my lab group for being very bold about  
14 taking on risky projects, and also bold about me  
15 showing embarrassing pictures of them from the murder  
16 mystery party that we have annually.

17 The individual in the middle, I don't know  
18 if you can see him, this is the patient who was  
19 treated who is now back to work, and this is Ben Chan  
20 -- he was the primary person on this project -- to the  
21 right. He's a research scientist at my lab group.

22 We're in that picture showing, or we're  
23 giving a thank you card to the patient, as well as a  
24 phage plush toy. I don't know if you can see that,  
25 but that's what he's holding.

1                   So I'd like to thank Deepak, as well as John  
2                   Wertz, another one of my longstanding collaborators at  
3                   Yale, and the funders for the project. Thank you for  
4                   listening.

5                   DR. RANALLO: We have plenty of time to take  
6                   a few questions.

7                   AUDIENCE MEMBER: Hi. Nancy from Phage Lux.  
8                   I have a question. We find in our lab that the  
9                   presence of *Pseudomonas* usually inhibits the way that  
10                  *Staph aureus* bacteriophages are able to infect *Staph*  
11                  *aureus*, and I was wondering if you would expect the  
12                  same results on polymicrobial biofilms, or if you  
13                  would expect the same kind of selection pressures. Or  
14                  would it be different in polymicrobial models?

15                  DR. TURNER: So I don't know your data  
16                  because I haven't seen them, but maybe one possibility  
17                  is if you have a phage that you're using against a  
18                  target bacterium but it has maybe an ability to  
19                  passively bind to something else, especially another  
20                  bacterium, it's probably going to weaken the ability  
21                  of the phage to do its job. So you could have in a  
22                  polymicrobial setting sort of a weakened ability for  
23                  the phage therapy to work.

24                  We haven't seen that with this particular  
25                  phage, but I would agree that that's just one of the

1 very many interactions of the phage therapy candidate  
2 with a diverse community of bacteria that we need to  
3 address and study further. I guess that's my only  
4 answer to that.

5 AUDIENCE MEMBER: Two quick questions, Paul.  
6 First, have you tried selecting for resistance changes  
7 in the pump that would give you resistance? Because  
8 they should be in the external loops of the --

9 DR. TURNER: Right.

10 AUDIENCE MEMBER: Have you tried that yet?

11 DR. TURNER: No, we have not tried that yet.  
12 Yeah. It's all been kind of just what is phage doing  
13 to interact with the bacterium, and what's the  
14 mutational spectrum of the bacterium response.

15 AUDIENCE MEMBER: Right. And you also said  
16 the phage didn't grow as well on the pathogenic  
17 strain.

18 DR. TURNER: Right.

19 AUDIENCE MEMBER: So when you say that, is  
20 that just reduced EOP or what --

21 DR. TURNER: Correct. Correct. Just  
22 reduced EOP.

23 AUDIENCE MEMBER: So it's likely to be a  
24 restriction escape?

25 DR. TURNER: I'm not sure what's at the root

1 of it, but it's kind of remarkable that this phage  
2 grows very well on a wide variety of genotypes of  
3 *Pseudomonas aeruginosa*, so --

4 AUDIENCE MEMBER: Yeah, but if it's got a  
5 restrictions problem with that strain, then it'll just  
6 take one escapee.

7 DR. TURNER: Exactly. So we have to examine  
8 that. You know, it's kind of reminding me,  
9 ambitiously, of if you had a phage that transcends all  
10 genotypes of a species and it doesn't infect other  
11 species, then you do have a species-specific drug in  
12 phage therapy. So I'm not claiming that that's what  
13 this is, but maybe a modified version of this phage  
14 would be closer to that. But I hear what you're  
15 saying. Yeah.

16 AUDIENCE MEMBER: My question's kind of  
17 similar. I was wondering whether you had tried  
18 selection with an antibiotic that you're trying to re-  
19 sensitize to and phage at the same time.

20 DR. TURNER: Right.

21 AUDIENCE MEMBER: Try to generate those mis-  
22 sense mutations and understand the resistance  
23 frequency. Whether you really are going to reduce the  
24 barrier to resistance by maybe co-dosing using it as  
25 an adjunctive therapy.

1 DR. TURNER: Yeah. I guess maybe the way I  
2 could have answered the prior question is we are  
3 trying some of those experiments, and, you know, I'm  
4 not sure why, but there's kind of a remarkable  
5 inability of the bacterium to regain antibiotic  
6 resistance when it sees this phage.

7 I think what is going on is it's placing  
8 selection pressure. We're looking for mutations in  
9 *oprM*, and we're actually not finding them. I think  
10 that there's something else epistatically happening to  
11 make them more resistant to the phage, and then when  
12 you remove the phage -- we've cultured them for up to  
13 10 days afterwards in the absence of phage and they  
14 don't go back to being antibiotic-resistant, so that  
15 suggests there's something going on.

16 That they're happily growing, but they're  
17 sort of -- they lost the ability to have a toggle  
18 switch that moves back. It's not like efflux pump  
19 repression and --

20 AUDIENCE MEMBER: Right. So you're not co-  
21 administering, you're first selecting for resistance  
22 to the phage and then later looking for --

23 DR. TURNER: Oh, I see what you're saying.  
24 Correct. Yes.

25 AUDIENCE MEMBER: Because the eas -- it's a

1 un -- it's a non-essential protein. The easiest way  
2 to get resistance is to knock it out. And it's not  
3 going to revert back on its own without selective  
4 pressure.

5 DR. TURNER: I agree.

6 AUDIENCE MEMBER: It's similar to what we  
7 saw with the PIP protein with the *Enterococci* where  
8 the strains that were not susceptible had point  
9 mutations, but when you select for resistance, all you  
10 get is knock out after knock out out of it.

11 DR. TURNER: Right. Right. So I have to  
12 admit we have to look at that further, but,  
13 anecdotally, I would have predicted we would have seen  
14 a lot more of that by now, and we're not. So I think  
15 there's something interesting going on there that  
16 maybe has not been shown biologically in phages. I  
17 just don't know.

18 AUDIENCE MEMBER: Okay. It's important  
19 because if you're going to go into the clinic and do  
20 the co-administration adjunctive therapy to  
21 antibiotics, you want to know what that resistance --

22 DR. TURNER: Completely agree. Let me  
23 emphasize, though, when we did treat the patient, we  
24 put a useless antibiotic in at the same time, okay?  
25 So that worked.

1 AUDIENCE MEMBER: Okay.

2 DR. TURNER: All right. Yeah.

3 AUDIENCE MEMBER: So, nice presentation.

4 DR. TURNER: Thank you.

5 AUDIENCE MEMBER: I have a specific question  
6 for you. You mentioned that you like to expand it for  
7 environmental uses, the phage.

8 DR. TURNER: Uh-huh.

9 AUDIENCE MEMBER: So how do you isolate it  
10 in the environmental application? Because selected  
11 pressure on used phage, it will, you know, generate  
12 resistance population. So how you overcome those  
13 resistance bacteria in the environmental situation?

14 DR. TURNER: Right. So what I should have  
15 said, I didn't want to confuse, is we have other  
16 phages that do the same thing for different target  
17 bacteria, and I would say they're actually not that  
18 hard to find. So we found them for cholera,  
19 *Klebsiella*, *Shigella*, et cetera. I think it's more a  
20 matter of looking for them in the right way.

21 So your question is if you deploy it in a  
22 large scale in an agricultural field, what will  
23 happen?

24 AUDIENCE MEMBER: Yes.

25 DR. TURNER: I would think you're going to

1 get resistance to it, and it may fail ultimately. An  
2 intriguing basic research question is whether you can  
3 run through the co-evolution in the laboratory and, in  
4 a sense, get a cocktail that is, you know, the ghost  
5 of evolution future or something like that, right, and  
6 then you use that.

7 I think that that's an intriguing idea. I  
8 have no idea if it will work because evolution can  
9 take many paths, right? But --

10 AUDIENCE MEMBER: But --

11 DR. TURNER: Yeah? Go ahead.

12 AUDIENCE MEMBER: I agree with you, but my  
13 problem is that if phage is that effective, and if we  
14 can make a broad spectrum cocktail to prevent all  
15 these things, all of these phages are present in the  
16 environmental situation --

17 DR. TURNER: Yes.

18 AUDIENCE MEMBER: -- but we don't see the  
19 phage has eliminated all the bacteria on the surface  
20 of the Earth right now. So I think, my -- this is my  
21 personal opinion, that phage can be used as like  
22 antibiotic, but it cannot be used as disinfectant.

23 DR. TURNER: I agree. Yeah. Yeah. I'm a  
24 big believer in spatial models, and you have local  
25 sort of, you know, pros and cons to things in biology.

1 So, yeah, I see exactly what you're saying, but I am  
2 not worried that we would change the landscape of  
3 bacteria on this planet with selection pressure due to  
4 phages because they've existed together for billions  
5 of years on the planet.

6 AUDIENCE MEMBER: No, no, I'm not worried  
7 about that, I'm worried about the effectiveness of  
8 that phage application, because within a couple of  
9 hours, the resistance population will start over  
10 dominate the system --

11 DR. TURNER: Yeah. We should talk more  
12 further because I -- yeah -- I have lots of ideas  
13 about ways to test it in the field, and I know exactly  
14 where you're coming from.

15 AUDIENCE MEMBER: I have, first, one  
16 question, and then one comment.

17 DR. TURNER: Sure.

18 AUDIENCE MEMBER: The question, have you  
19 tried much working with small cell variants like you  
20 tend to find in the cystic fibrosis lung? I've been  
21 particularly curious, also, about small cell variants  
22 of *Staph*.

23 DR. TURNER: Right. Not yet. So that is in  
24 the realm of these large repositories of strains that  
25 we're trying to acquire to test the generality of this

1 phenomenon for clinical isolates coming directly from  
2 CF patients, okay? So we can kind of get at that  
3 variation through those experiments.

4 AUDIENCE MEMBER: By the way, we did once  
5 work with 200 CF strains from Univeris -- from  
6 Children's Hospital in Seattle, and we were able to  
7 find phage against all but about eight of them, and of  
8 those, four actually turned out not to be *aeruginosa*.  
9 We checked them using the 16S ribosomal marker.

10 DR. TURNER: Yeah.

11 AUDIENCE MEMBER: You do find them working  
12 in other parts of the world as well. When I first got  
13 started with phage back in '97, then -- or started  
14 with *Pseudomonas* phage, I should say -- I'd always  
15 worked with *E. coli* -- we got a bunch of strains of  
16 phage from Tbilisi that had been isolated against  
17 wounds and burns, and they worked against all of --  
18 all but one of the 18 strains of cystic fibrosis we  
19 got at that point.

20 So from a completely different use and  
21 comdip -- completely different part of the world, they  
22 worked.

23 And, again, the one that they didn't work on  
24 turned out later -- not actually to be *aeruginosa* when  
25 we did --

1 DR. TURNER: Yeah, yeah.

2 AUDIENCE MEMBER. So that's something to  
3 think about tied in with it.

4 In terms of how low you can get them, in the  
5 oceans they're -- it's completely controlled by phage  
6 in terms of what the high levels are. What they do is  
7 you do -- it's like the red tide situation. They are  
8 at such low levels, about 10 to the fourth per ml, and  
9 so are the bacteria below that, and it's only when  
10 they get higher than that that the phage can find them  
11 enough.

12 So if you get a sudden bloom of e. coli  
13 O157, as we saw in sheep models, then you can activate  
14 the phage that are naturally there --

15 DR. TURNER: Oh, I see. Because they're in  
16 the system already is what you're saying.

17 AUDIENCE MEMBER: They're in the system  
18 already --

19 DR. TURNER: Yeah. Yeah.

20 AUDIENCE MEMBER: And they work, actually,  
21 better. That seems to be what's going on in livestock  
22 to keep them in balance.

23 DR. TURNER: Right. So a radical idea would  
24 be whether you can decrease antibiotic administration  
25 to CF patients by at least giving them a lower dose of

1 antibiotic and a phage which helps their quality of  
2 life, and the phage is sitting around in case a  
3 variant emerges. That kind of a thing.

4 AUDIENCE MEMBER: And to keep them lower in  
5 that kind of way.

6 DR. TURNER: Yeah. Yeah. I agree.

7 AUDIENCE MEMBER: So nice work. Keep it up.

8 DR. TURNER: Thank you. Thanks, Betty.

9 AUDIENCE MEMBER: Thanks.

10 DR. RANALLO: Okay. So I just want to thank  
11 the morning speakers. I am going to take programmatic  
12 liberty and give us a 25 minute break, so we'll be  
13 back here at 10:30 for the next set of speakers.  
14 Again, thank you.

15 (Whereupon, a short recess was taken.)

16 DR. RANALLO: So we have a little bit of a  
17 change in our agenda. Frank Ramig had a personal  
18 emergency and is unable to make our conference, our  
19 workshop today, so we're going to start off with Dr.  
20 Roy Stevens from Temple University.

21 Dr. Stevens is a professor of endodontology  
22 at Temple University's Kornberg School of Dentistry,  
23 as well as a professor of microbiology at Temple  
24 University's Katz School of Medicine.

25 Roy is going to talk to us a bit about

1 engineering phage and phage products to disrupt  
2 *Enterococcus faecalis* biofilms.

3 DR. STEVENS: Okay. Well thanks --

4 (Away from microphone.)

5 (Pause.)

6 DR. STEVENS: Okay. That's better. Well  
7 I'm still delighted to participate in this wonderfully  
8 informative workshop, so thank you for organizing  
9 this.

10 So this morning I'd like to speak to you  
11 about a phage genetic engineering strategy that we've  
12 been exploring in my laboratory. What you see on the  
13 screen here are a couple of phages that we've isolated  
14 in our laboratory.

15 Since my laboratory is located in a dental  
16 school, as Ryan alluded to -- endodontology, by the  
17 way, for those uninformed in that area, is root canal  
18 treatment. I don't hear any moans, so that's good.

19 So my laboratory is located in the dental  
20 school so it shouldn't come to anybody's surprise that  
21 the phage that we've isolated infect oral bacteria.

22 So, for example, the *Siphoviridae* phage on  
23 the left infects strains of *E. faecalis* and was  
24 originally isolated from a root canal of an infected  
25 tooth -- an infected root canal of a tooth.

1           The *Myoviridae* phage on the right was --  
2           infects strains of the periodontal pathogen  
3           *Aggregatibacter actinomycetemcomitans*, and this was  
4           originally isolated from dental plaque of a  
5           periodontally-diseased tooth.

6           Most of my discussion this morning is --  
7           about genetic engineering is going to be directed  
8           towards the *E. faecalis* phage.

9           So to start out I think I should say a  
10          little bit about a rationale for genetically  
11          engineering phage for phage therapy. So what I have  
12          on the screen here is a simplistic schematic view of  
13          the conventional paradigm for isolating phage that are  
14          used in phage therapy, and this is going to be very  
15          familiar to everybody in the audience here.

16          Typically, phage are isolated from the  
17          environment, whether it's sewage, or water sources, or  
18          animal effluents and so forth. The isolated phage are  
19          typically tested for host range.

20          In the last 60 years or so phage are also  
21          characterized morphologically by EM to describe the  
22          morphotype, and then in the last 20 years or so phage  
23          that have been isolated and planned for use in phage  
24          therapy often are sequenced, and then, typically,  
25          there may be some clinical trials or animal studies

1 prior for use in phage therapy.

2 This approach has been -- the overall  
3 success of this approach is largely due to the rate  
4 abundance of phage in the natural environment.  
5 However, there are limitations to the -- to this  
6 approach, and some of them I have listed on this  
7 slide.

8 So using this approach, basically there's a  
9 random isolation of phages. It's a relatively hit or  
10 miss approach. The saving grace again is the fact  
11 that phage are so abundant, plentiful, so that it  
12 makes it possible for, in most cases, the process to  
13 succeed in any event.

14 Using randomly isolated phages for phage  
15 therapy run the risk of employing a virus with an  
16 unpredictable, or even undesirable, property, so,  
17 obviously, we wouldn't want to do that. Randomly  
18 isolated wild type phages may, in fact, lack qualities  
19 that would improve their therapeutic performance, so  
20 just using a wild type phage, we may be missing some  
21 advantages.

22 Genetic manipulations of virulent phage may  
23 be problematic. Of course there's no convenient way  
24 for selecting for recombinant mutants, or positive  
25 selection of desired recombinant mutants with the

1 desired characteristics.

2           And finally, as we see over and over, what's  
3 necessary to be used in phage therapy are basically  
4 phage cocktails because of -- the host range  
5 limitations of any one specific phage may necessitate  
6 using cocktails, and this may complicate safety  
7 evaluations needed for clinical development.

8           That's not to say that there are no genetic  
9 strategies for modifying virulent phages, and I have  
10 several of these strategies listed on this slide, but  
11 even in these cases the same issue applies, or the  
12 same issues apply. There isn't really any good,  
13 positive selection system available, recombination  
14 rates are relatively low, and *in vitro* manipulation of  
15 a large, synthetically-assembled DNA molecule is tep  
16 -- technically difficult.

17           So we are looking for an alternative way of  
18 modifying a phage to make it perhaps more useful in  
19 phage therapy, and our strategy essentially involves  
20 starting out with a prophage of a temperate virus and  
21 winding up with a recombinant phage of a virulent  
22 virus.

23           Basically what we do is it allows us to use  
24 conventional bacterial genetic strategies to make  
25 modifications in the genome, in the prophage genome,

1 and ultimately change the region of the genome that  
2 controls lysogeny such that the resulting virus is no  
3 longer capable of lysogeny. So we convert it into a  
4 virulent phage after we do whatever other  
5 recombination work we want to do in the prophage.

6 So by doing this we actually have sort of an  
7 oxymoron. We have a prophage of a virulent virus,  
8 which to most phage people probably wouldn't make  
9 sense, but this is basically what we are able to  
10 achieve.

11 So it's a three step process, in which we  
12 initially make -- we replace, or delete genes in the  
13 prophage that we wish to change. In the second step  
14 we use a second allelic exchange mutagenesis to delete  
15 lysogeny-related genes and replace the wild type  
16 promoter that drives lytic cycle functions with an  
17 exogenous inducible promoter.

18 And so what we're -- in doing these  
19 manipulations we can easily select for lysogens that  
20 contain the recombinant prophage by simply plating the  
21 reaction mixtures on antibiotic-resistant plates and  
22 recovering the recombinant lysogens.

23 In the final step we can induce the phage  
24 using appropriate inducing agents to produce the  
25 virulent version of the original temperate virus.

1           So let me give you an example of how this  
2 works using one of the phages that I showed you  
3 earlier in the talk. This is the *E. faecalis* phage  
4 that we isolated in our laboratory from an infected  
5 root canal.

6           This clearly is not a phage that anybody in  
7 their right mind would consider as a candidate for  
8 phage therapy in its wild type state. Upon isolation  
9 it was identified as a temperate virus. It's weakly  
10 lytic, and it has a narrow host range.

11           So the isolation procedure for this phage  
12 was nothing very unusual. We isolated it, again, from  
13 an infected root canal; that is, we isolated  
14 *Enterococcal* strains from an infected root canal, we  
15 plated these out on selective media for *Enterococci*,  
16 we got -- we recovered *E. coli* clones, we picked  
17 clones and we induced with mitomycin c, and then we  
18 test the resulting cell-free culture medium for  
19 plaques against the panel of *E. coli* strains, and this  
20 is what you see. Small, somewhat turbid plaques.

21           If you grow them up and purify the phage and  
22 -- you can do EM analysis, and this is what the phage  
23 looks like.

24           So when we purified the virus we further  
25 analyzed the genome. After sequencing the genome we

1 found that this virus has a genome consisting of  
2 42,822 base pairs, distributed among 65 open reading  
3 frames. And that's many -- as has been mentioned by  
4 other speakers here today, typical of many, many other  
5 phages. The genes are arranged in functional modules,  
6 as you see illustrated in this diagram.

7 We focused on one region of the genome,  
8 which you see here, and it appears that the apparatus  
9 that determines lysogeny, or lytic functions, are  
10 found within this region of the genome. That is, the  
11 establishment and maintenance of lysogeny is basically  
12 determined here.

13 Now if we look at this in a little bit more  
14 detail we can see that there's open reading frame 31  
15 which is predicted to code for an integrase, open  
16 reading frame 36, which is predicted to code for a *cI*-  
17 type repressor, and open reading frame 37, which is  
18 predicted to type for a *cro* type repressor. In  
19 between 36 and 37 there is a regulatory region, which  
20 we'll look at in a little bit more detail.

21 And, as we'll see shortly, transcription in  
22 the right direction results in lytic infection,  
23 transcription in the left direction results in  
24 lysogeny.

25 This is that region between 36 and 37. You

1 see that there is a stem loop structure, and to the  
2 right there's a promoter that controls transcription  
3 of *cro* and the remainder of the lytic functions, and  
4 to the left is a promoter that controls transcription  
5 for the *cI* repressor and the lysogeny functions.

6 So how do we go about doing this? We design  
7 a vector in which there are homologous regions  
8 upstream and downstream of the lysogeny genes, and  
9 between these two homology regions we have an  
10 antibiotic resistance marker and we have a inducible  
11 exogenous promoter. In this case it's the nisin  
12 promoter.

13 So upon homologous complementation, this  
14 will permit complementation between the vector and the  
15 prophage, and ultimately, in a small fraction of the  
16 cases, there will be an allelic exchange, and the  
17 result of that will be a pro phage that now has the  
18 antibiotic resistance marker and the nisin-inducible  
19 promoter in place of the lysogeny genes and the wild  
20 type promoter that was in the original prophage.

21 So this actually represents, as I mentioned  
22 before, a -- now a prophage of what is now a virulent  
23 pha -- virus. The lysogens that now contain this  
24 construct can easily be selected on antibiotic-  
25 resistant plates, in this case with erythromycin, and

1 those clones can then be induced using the appropriate  
2 inducer, in this case nisin, and you can get the phage  
3 out, and that phage will have the properties of the  
4 virulent virus.

5 So what we've done by doing this is to cause  
6 the deletion of all the lysogeny-specific genes of the  
7 prophage and replacement of the wild type promoter  
8 with an exogenous inducible promoter, in this case the  
9 nisin promoter, and this will yield a virulent variant  
10 that is incapable of lysogeny since it has none of the  
11 genes needed for lysogeny, and, furthermore, it's not  
12 sensitive to repressor repression since it has an  
13 exogenous promoter that's not sensitive to repressor.

14 So we've changed this genome on the left  
15 from the wild type to the recombinant genome you see  
16 on the right.

17 If we compare the wild type to the  
18 genetically-modified as you see in this slide, you can  
19 see that there is a noticeable change in the host  
20 range. We have -- the wild type had a very limited  
21 host range. As you can imagine, the wild type  
22 temperate virus is subject to repressor repression,  
23 whereas the genetically-engineered version is not  
24 sensitive to repressor, and so it can, in fact, infect  
25 other lysoge -- lysogenic strains.

1           If we take that genetically-engineered phage  
2   and we inf -- and use it to infect biofilms, we can  
3   see a very dramatic result. On the left you see  
4   controlled biofilms of two strains of *E. faecalis*.  
5   JH2 is a vancomycin-sensitive strain, V583 is a  
6   vancomycin-resistant strain.

7           This is a live dead stain, and you can see a  
8   very rich biofilm that was formed in this system. In  
9   the phage-treated biofilms you see almost complete  
10  elimination of the biofilm in the JH2 strain, and an  
11  almost as complete elimination wi -- in the  
12  vancomycin-resistant strain.

13           In fact, what's -- where I found interesting  
14  in this is that if you do a cut through the biofilm,  
15  you can even see the death of the cells throughout the  
16  depth of the biofilm.

17           This is actually a concern of -- in terms of  
18  being able to deal with biofilms. It's been, you  
19  know, postulated that cells at the depth of biofilms  
20  are protected in certain ways from agents that are  
21  going to be used for treating them, and yet here we  
22  see bio -- in a biofilm all the way to the bottom of  
23  the depth of the biofilm predominantly dead cells.

24           If we want to take the recovery, we can see  
25  that in both the cases of the JH2 strain and the V583

1 strain there is a substantial diminution of the  
2 recovery, there's basically a two log drop, at least,  
3 in the recovery, and the amount of detectable residual  
4 cells recovered is quite small.

5 In addition to testing a biofilm formed on a  
6 glass slide, it turns out, for all the non-dentists in  
7 the audience, that infected root canals also produce  
8 biofilms inside the tooth, the -- depending on what  
9 kind of infection it is, and so we fabricated a dentin  
10 infection model in order to test the effects of phage  
11 on infections of the dentin.

12 So here, in this model, we fabricated a  
13 cylinder made out of the de -- the root of a tooth,  
14 which is basically all dentin. This dentin cylinder  
15 is then sealed inside the encasement of a disposable  
16 needle cap, and then that is put -- assembled inside a  
17 -- the cap of a needle and buffer can be placed in the  
18 lower portion of the cap, and either this -- the *E.*  
19 *faecalis* can then be injected into the root canal,  
20 which you see in the center of the dentin cylinder.

21 After incubation for a period of time, the  
22 phage can also be introduced into the root canal. The  
23 result of that is -- to the remaining bacteria is  
24 shown on the next slide.

25 You can see with the vancomycin-resistant

1 strain there's a dramatic drop in recovered *E.*  
2 *faecalis* from these infected root canals. For a  
3 reason I -- we not quite clear about yet, the decrease  
4 in the J -- in the vancomycin-sensitive strain is not  
5 very impressive. We're curious about that, and we'll  
6 probably be looking at that further.

7 So it appears that you can genetically alter  
8 phage to change its properties and make the phage more  
9 usable and useful in -- as an antimicrobial agent.

10 Now, in addition to looking at the phage  
11 itself, we also looked at products of the phage. In  
12 this portion of the phage genome you see a series of  
13 genes that appear to be related to the lysis of the  
14 cell. There are -- there is a lysin, a holin -- I'm  
15 sorry -- a endolysin, and the ORF28 gene product  
16 appears to be an amidase-type lysin.

17 So what we did was we PCR-amplified the  
18 ORF28 gene using the phage DNA as a template, we  
19 cloned the gene into an expression vector in tandem to  
20 a GST tag, and so we got this vector as you see on the  
21 right.

22 If we transform that into an *E. coli* cell  
23 and express the gene and then make a sonic extract of  
24 the *E. coli* where the gene is being expressed, we get  
25 this mixture of, basically a gemisch of all of the

1 components of the *E. coli* cell, including the produced  
2 ORF28-GST fusion product.

3 We can put that through a glutathione  
4 affinity column which will bind to the GST, the  
5 glutathione, as transferase protein, which is  
6 associated, or attached, or fused to the ORF28 lysin.  
7 Then, by adding excess of glutathione, we can elute  
8 off that protein.

9 In the gels that you see in the lower left  
10 of this slide, you can see that after putting it  
11 through a column several times, we get basically a  
12 homogenous preparation of a protein of 72 kilodaltons.  
13 And you'll notice that the ORF28 gene product is  
14 predicted, or the sum of the ORF28 gene product and  
15 the GST fusion tag is 72.5 kilodaltons, so this  
16 appears to be a purification to just about  
17 homogeneity.

18 What's interesting is if you take -- if you  
19 spot some of this material onto a lawn of any of the  
20 -- or many of the strains of *E. faecalis* that we have  
21 in our collection, you can see that it produces a very  
22 noticeable and distinctive lytic zone in these  
23 different *E. faecalis* strains, including vancomycin-  
24 resistant strains.

25 So out of 99 strains that we've tested so

1 far, just a little over half of them are quite  
2 sensitive to this lysin. Of the 99 strains, two of  
3 them, two of the *E. faecalis* strains are vancomycin-  
4 resistant, and both of those are sensitive to the  
5 lysin. So vancomycin resistance, as in VRE strains,  
6 does not pose a problem to the lysin.

7 Adding this substance to a suspension of the  
8 *E. faecalis* strains causes a very rapid clearing of  
9 the suspension. In about 15 minutes you can start to  
10 see a precipitous drop of the turbidity of *E. faecalis*  
11 suspension, so the reaction occurs quite rapidly.

12 Again, you can use that purified lysin that  
13 we got from the phage on a *E. faecalis* biofilm. On  
14 the right you can see what the biofilm looks like  
15 after it's been treated with this lysin. The left is,  
16 of course, a control. You can see an obvious  
17 difference.

18 And you can see -- if you quantitate the  
19 recovered -- the recov -- the cov -- recovery of the  
20 residual cells from the treated versus the controlled  
21 biofilm, you see that there's about a two log drop,  
22 and to very low levels. So the lysin, as well as the  
23 phage is very active in disrupting *E. faecalis*  
24 biofilms. Okay.

25 So as we've seen in other presentations

1 today, there are many, many other *E. faecalis* phages  
2 that have been isolated and characterized, and out of  
3 many of these, the lysins have also been identified,  
4 sequenced.

5 We've compared the sequence of the ly -- the  
6 ORF28 lysin that we're -- that we got from our phage  
7 to each of these other lysins, and, surprisingly  
8 enough, when we look -- when we do a BLAST analysis,  
9 we see only very moderate homology between the EF11  
10 ORF28 lysin, which is what we've been working with,  
11 and each of the lysins of the other *E. faecalis*  
12 phages.

13 So I'm no -- I won't go through each one  
14 individually, but you can see easily that there's only  
15 a modest percentage of identity between these two  
16 lysins.

17 In another phage, phage 1 -- this one was I  
18 think the one used by Fischetti's group in isolating  
19 the lysin that they published on -- again, you can see  
20 only a moderate degree of homology between our lysin  
21 and the lysin of the phage 1, and so on and so forth  
22 for each of the other *E. faecalis* phages that we  
23 analyzed, and so this is sort of a summary of that.

24 If you, you know, go down the list, there  
25 appears to be only 10 to 20 percent identity between

1 the lysin that we obtained from this phage and any of  
2 the other *E. faecalis* phages, which is curious to me  
3 because these are all *E. faecalis* phages and,  
4 presumably, they all have to lyse the same, or very  
5 similar, cell walls in order to go through a lytic  
6 cycle, and yet they are obviously different.

7           So one thing that we would like to do is  
8 actually compare the host range, if you will, of the  
9 lysin that we have to the host range of many of these  
10 other phage lysins and see if there's an overlap or  
11 not.

12           So, with that, I'll conclude my talk. We're  
13 trying to produce a super phage that will be super  
14 useful in phage therapy.

15           Before we close up shop I have to thank the  
16 -- all the contributors to this work. Hongming Zhang  
17 is a research scientist working in my lab. Tina  
18 Buttaro is a professor at the medical school who set  
19 up all of the biofilm assays. She's done a lot of  
20 work in *E. faecalis* biofilm analysis.

21           Derrick Fouts who is here, in the audience,  
22 somewhere in the back I think, helped. He is a staff  
23 scientist at JCVI, and he was -- played a major role  
24 in the sequencing and annotation of our phage genome.  
25 Lastly, but not leastly, Justine Tinoco was a graduate

1 student who did many of the assays that you saw in  
2 this presentation.

3 So, with that, I thank you.

4 DR. RANALLO: Okay. So we have some time  
5 for questions if anybody has, anybody has any  
6 questions.

7 AUDIENCE MEMBER: It's an interesting  
8 observation that you don't see a lot of homology  
9 between your lysin and those of other phages. I'm  
10 just curious what the identity -- if you exclude yours  
11 and look at how similar those other lysins are to each  
12 other, is there also dispar -- is there disparate  
13 relationships between those as well?

14 DR. STEVENS: I haven't really done that.  
15 That would be interesting to do as well. I mean you  
16 can do each permutation of each of them against all of  
17 the others and see.

18 But, again, I know that you're very  
19 interested in cell wall structure, and, you know, I'd  
20 be very interesting -- interested in learning more  
21 about the potential binding sites for the lysin. That  
22 may also be something you're interested in as well,  
23 whether each of these lysins have a different target  
24 on the cell surface or not. We just don't know that.

25 DR. RANALLO: Actually, I had a question.

1 Is it -- did I understand correctly that the  
2 antibiotic resistance marker, once you're done, is  
3 still present? You know what --

4 DR. STEVENS: Right. It's a great point. I  
5 did not mean to imply that the genetic engineering is  
6 completed. This is mark two or three of the  
7 manipulations that we've been doing. Before this  
8 could be used in a patient certainly, you're  
9 absolutely right, we would have to use a different  
10 strategy for just eliminating the antibiotic-resistant  
11 marker, and there are markerless methods of doing that  
12 as well. Yeah.

13 AUDIENCE MEMBER: So, yeah, just one quick  
14 question. Have you looked at using Hidden Markov  
15 Modeled -- Modeling -- predictive modeling for the  
16 structures of the various lysins that you're looking  
17 at to see if there's an overall structural fold that's  
18 held in common?

19 DR. STEVENS: No. Haven't done that.

20 DR. RANALLO: Okay. So we're going to  
21 continue on with the engineering theme with Dr.  
22 Timothy Lu from MIT. Tim is a rising star at MIT.  
23 He's an associate professor and leader of the  
24 synthetic biology group in the department of  
25 electrical engineering and computer science and

1 department of biological engineering at MIT. Tim's  
2 going to talk to us about engineered phages for the  
3 dia -- for diagnostics and therapeutics. Take it  
4 away, Tim.

5 DR. LU:: All right. Thanks a lot for the  
6 opportunity to be here. I think it's a really  
7 exciting forum to be able to talk at. I also want to  
8 thank Dr. Stevens earlier for basically introducing  
9 why we want to engineer bacteriophages. So I'm going  
10 to walk through some of the work that we've been doing  
11 in our own group to try to engineer bacteriophages for  
12 a variety of applications. I think they're pretty  
13 interesting, you know, chassis to play with.

14 Before I start, you know, I'm involved with  
15 several companies involved in sort of commercializing  
16 bacteriophages -- I wanted to list them here --  
17 including BiomX, Eligo, and AmpliPhi, as well as  
18 Sample6.

19 So my lab is really focused on synthetic  
20 biology. Really what we're excited about is really  
21 this exponential increase in our ability to  
22 genetically engineer stuff. That might include  
23 viruses, it might include cells. Today I'm going to  
24 focus primarily on viruses.

25 So we're excited, really, by this

1 exponential improvement in our ability to read and  
2 write DNA, and how can we leverage that to modify  
3 organisms or viruses for useful applications.

4 So this has been a challenge for us since I  
5 was doing my Ph.D., and one of the questions that I  
6 started off with was could we try to engineer  
7 bacteriophages for therapeutic, as well as diagnostic  
8 applications.

9 Initially we were inspired by this challenge  
10 which I think we've heard about already, which is that  
11 can we get away from this idea of using broad spectrum  
12 antimicrobials and move to a paradigm where we use  
13 narrow spectrum antimicrobials to either treat  
14 infections or, I think, actually, a potentially even  
15 more exciting opportunity, or at least equally  
16 exciting opportunity, is to modulate the microbiome.

17 If we're going to do this we need strategies  
18 that allow us to do diagnostics and therapeutics. So  
19 if you have a narrow spectrum antimicrobial but you  
20 can't quickly tell whether an infection is going to be  
21 susceptible to it, from a clinical perspective, it's  
22 going to be really hard to deploy.

23 So I think we've been focused on trying to  
24 develop tools to allow you to build rapid diagnostics.  
25 So can you engineer phages as a diagnostic tool? So

1 I'll tell you briefly about that effort, and then for  
2 the remaining time I'll tell you about some of the  
3 effort to now engineer bacteriophages in a variety of  
4 different ways, primarily using them as gene therapies  
5 for bacteria, and how we can then use that to modulate  
6 bacterial populations in targeted fashions.

7 So I'll start off with the diagnostic  
8 application. Sort of alluded to this earlier.  
9 Really, what we want to ultimately do is enable  
10 precision therapy, right? So we do precision therapy  
11 increasingly for cancer. Why don't we do that for  
12 infectious diseases?

13 Well one of the things we need to enable  
14 that is a rapid diagnostic platform, and, ideally,  
15 something that's relatively easy to use, point of  
16 care, and can give us information about what bacteria  
17 we're actually going after.

18 If we can do that, then we can potentially  
19 couple that with narrow spectrum antimicrobials. In  
20 some cases that might be phage therapy on its own. I  
21 think we've heard a lot of great examples here about  
22 combining phages with other antimicrobials. I think  
23 that's a very potentially powerful way to go about it,  
24 especially if you start coupling some of the  
25 strategies we heard earlier from Dr. Turner and

1 others.

2           So we're excited about coupling the two  
3 together, and so a -- you know, after my Ph.D. we  
4 decided to try to see if we can actually try to solve  
5 the first part of this problem. Can we develop  
6 diagnostic tools that allow us to rapidly diagnose the  
7 presence of microbes?

8           So here's the basic idea. And this is an  
9 idea that the field has worked on for the last 20, 30  
10 years in terms of building reporter phages, but I  
11 think we're quite excited that we've been able to now  
12 commercialize this and actually do the genetic  
13 engineering of these phages at a point where it's  
14 actually applicable at industrial scale.

15           So the idea is really simple. We know that  
16 phages can be narrow spectrum, and so we can identify  
17 phages that are selective for certain bacterial  
18 populations, and then we can genetically engineer  
19 those bacteriophages to basically force the cells that  
20 they infect to produce some sort of reporter.

21           So in this particular case we're engineering  
22 the bacteriophages to deliver some sort of reporter  
23 gene, like a very strong luciferase, and basically  
24 what happens is the bacteria get infected by the  
25 phage, they start generating luciferase, and now, with

1 a reader, you can basically detect whether there's  
2 light coming from your bacterial population.

3 This has allowed us in -- to build  
4 diagnostic tools that give us readouts of presence of  
5 bacteria in a population in a few hours.

6 So the initial application for this  
7 technology we started off with was actually for the  
8 food industry. It was a little bit lower hanging  
9 fruit for us initially when we started the company.  
10 So we started off going after *Listeria* -- so *Listeria*  
11 is one of the major food pathogens -- and subsequently  
12 we have tests for *Salmonella* and *E. coli* sort of in  
13 the pipeline.

14 Longer term I think this technology,  
15 especially as we get better and better at engineering  
16 these bacteriophages, has a broad range of  
17 applications in the clinical space, being able to do,  
18 potentially, rapid diagnostics for other clinically-  
19 relevant systems.

20 So here's just a comparison for the sort of  
21 phage technology we've developed to compare it to sort  
22 of conventional assays that are used in the food  
23 industry. Like PCR or immunoassays, those can be  
24 quite slow, primarily because they require a primary  
25 enrichment step.

1           So both of those methodologies require you  
2           to grow the bacteria for a period of time so that it's  
3           -- the test is either sensitive or specific enough.  
4           Number one, most food companies don't want to be  
5           growing large amounts of pathogens on site so they  
6           often ship that out, that adds additional time, and  
7           then the enrichment time itself adds time to the  
8           actual assay.

9           So our goal was to try to see, can you  
10          develop a test that you can run on site that's easy  
11          enough to use, that you can basically take non-trained  
12          biologists, basically, you know, potentially high  
13          school or college-trained technicians, teach them to  
14          run this assay on the factory, and be able to get a  
15          result on the same day. So you can come in the  
16          morning, do an assay, see if the food has, for  
17          example, bacteria or not, and then you'll make a  
18          decision on what you do with that.

19          So I'm happy to tell you that we spent a few  
20          years and developed actually a test that's, frankly,  
21          pretty simple and easy to use. So basically one  
22          version of this test looks as follows:

23          We have basically a sponge they use to swab  
24          some sort of surface. You then put a bit into a bag,  
25          you add the bacteriophages, you let it sit in the

1 incubator for about six hours.

2           Then you take a little bit, an aliquot of  
3 the liquid there, stick it into a very simple  
4 luminometer, and then you basically read is there  
5 light or not? Based on that information, you can make  
6 a diagnosis of whether there was a particular  
7 bacteria, in this case *Listeria*, in the sample that  
8 you were taking.

9           The system is quite easy to use, and so  
10 we've been able to deploy this in a variety of sort of  
11 large food processing plants where people basically --  
12 we don't need to train technicians, as you might need  
13 if you're performing PCR-based assays to carry this  
14 out.

15           In addition to this, we don't really have  
16 time, but the cool thing about sort of having a cheap  
17 and easy to use diagnostic is then you can couple that  
18 with analytical tools. So we've now developed methods  
19 where you can sort of geo-locate where assays are  
20 being taken on the factory floor and really build sort  
21 of analytical cloud-based tools to see where  
22 contaminations are happening on your factory floor and  
23 how you stop that from happening.

24           So one of the reasons we started off with  
25 the food application is because you can go through and

1 get a test that's industrially used in a relatively  
2 short order. So we got the certification from this  
3 AOAC institute for the detect test.

4 But now we've also been working on a variety  
5 of therapeutic, sort of clinically-relevant sensors.  
6 Here's just an example. I don't have sort of like the  
7 more finalized data to show you, but just thought I  
8 would just point out some, you know, that this can  
9 work to detect bacteria in other formats.

10 So in terms of saliva, urine, and blood,  
11 we've done this sort of testing. In this case we have  
12 *Salmonella* that we can detect pretty -- relatively  
13 quickly. In about a few hours you can detect down to  
14 about one or 10 CFUs/ml. So I think there's app --  
15 sort of potential applications for this technology  
16 that you can envision beyond just the food industry.

17 So I think I told you about some more  
18 efforts to try to develop rapid diagnostic tools with  
19 phages. I think they're very useful and already sort  
20 of making an impact in the industrial space. I'll  
21 spend the rest of the time talking about some of the  
22 therapeutic applications that we've been envisioning  
23 and what we've been focused on over the last, I would  
24 say decade.

25 So I think we've heard about this

1 previously. I think one of the areas that we're  
2 excited about is this idea that, potentially, we can  
3 engineer, or evolve, antimicrobial agents in a -- to  
4 keep pace with the evolution of resistance, bacterial  
5 resistance.

6 I think the challenge with going after  
7 bacteria is they're, you know, probably going to  
8 outsmart anything we throw at them eventually, but if  
9 we can keep -- at least keep pace with them in the  
10 development of novel antimicrobial agents, maybe we  
11 can at least keep pace with their development of  
12 resistance. So if they take a step forward, can we  
13 take a step forward ourselves with a counter-measure.

14 So we've developed a variety of phage  
15 engineering-based technologies to do this. We heard a  
16 lot yesterday and today about engineered bio -- about  
17 bacterial biofilms and how they can be a problem.

18 From my simplistic engineer's perspective, I  
19 sort of think of biofilms like fruit Jello, where the  
20 fruit is sort of like the bacteria and they make this  
21 gelatinous matrix that makes it very hard to clear out  
22 the bacterial contamination, so it poses a challenge  
23 for any antimicrobial agent you're trying to develop.

24 Similarly, biofilms can be associated with  
25 antimicrobial resistance. I think we've heard a lot

1 about the challenge of going after antimicrobial  
2 resistance so I'll skip over this.

3 In our lab we're primarily focused on going  
4 after the gram-negative pathogens. I think we're  
5 particularly focused on this because of the great need  
6 for novel antimicrobial agents, especially going after  
7 these specific pathogens.

8 So early on -- actually, this is some of the  
9 Ph.D. work I was doing together with Jim Collins. We  
10 started to think about how do we develop target  
11 therapies to go after biofilms, right? We know that  
12 biofilms are involved in a lot of medical-related  
13 issues, and as well as in the food or industrial  
14 space, biofilms are part of a major sort of burden on  
15 industry. Current methods for going after this  
16 including mechanical disruption or chemical-based  
17 methods are not necessarily the most effective.

18 So one of the strategies we came up with,  
19 this was back in 2007 when we published this, was this  
20 idea that we could engineer bacteriophages to express  
21 biofilm-degrading enzymes. If you actually look at  
22 natural phages, some phages actually carry these  
23 enzymes with them to allow them to access biofilms or  
24 to degrade polysaccharides.

25 What we tried to do is to demonstrate that

1 you could actually synthetically encode the expression  
2 of these enzymes into an artificial phage. So in this  
3 particular case we took a model phage T7, showed that  
4 you could genetically modify it to express a biofilm-  
5 degrading enzyme, and the idea is if you could then  
6 sneak just even a little bit of that bacteriophage  
7 into the biofilm, you could generate this loop where  
8 you generate more enzyme, it breaks up the biofilm,  
9 and hopefully help propagation of the engineered  
10 bacteriophages.

11 So we showed in this particular experiment  
12 that if you compare the engineered bacteriophage,  
13 which has dispersin B, an enzyme that is known to  
14 disrupt certain types of biofilms, with a control or  
15 untreated, we could get, in general, two to four  
16 orders of magnitude increases in our ability to  
17 eradicate these biofilms, even with a very small dose  
18 of bacteriophages to start.

19 In addition to that, so we -- you know, that  
20 was like the initial work that we did, and we quickly  
21 realized that perhaps the bacteriophages could then be  
22 extended to other sorts of applications. So could we  
23 use the bacteriophages not just to degrade bacterial  
24 biofilms, but to potentially synergize with other  
25 treatments that are already in use.

1           So one of the strategies that we started to  
2 look at was whether we could actually engineer phages  
3 sort of like gene therapy vectors for bacteria to  
4 deliver payloads into bacterial populations that allow  
5 them to have an effect on antimicrobial resistance,  
6 for example.

7           We heard a lot earlier about sort of diverse  
8 mechanisms by which bacteria can become resistant to  
9 antibiotics, including sort of discrete mechanisms  
10 like exporting the antibiotic or degrading the  
11 antibiotic. In addition, there are sort of bacterial  
12 defense mechanisms, for example, the generation of  
13 reactive oxygen species and sort of the triggering of  
14 certain response pathways inside the cell, that could  
15 be potentially targeted with an engineered phage if  
16 you think about it really -- not the phage as a sort  
17 of direct killer, but as a gene therapy device.

18           So here's a very simple schematic of some of  
19 the work that we did. If you envision antibiotics  
20 inducing DNA damage that induces some sort of let's  
21 say a DNA repair response that allows a cell to  
22 survive, what if we could try to potentiate that sort  
23 of strategy by engineering a phage. In this case,  
24 this is a phage that's not lytic, it's lysogenic, that  
25 potentially can deliver a gene inside of the cell.

1           Here we used a particular protein, LexA3,  
2           which suppresses the SOS response. The idea was we  
3           wanted to test whether we put these two strategies  
4           together, can you get a potentiation of killing.

5           So we actually looked at this engineered  
6           bacteriophage, phage lexA3 which is shown here in  
7           blue, in comparison -- sorry -- in combination with  
8           three different classes of antibiotics: quinolone  
9           antibiotics, which in this case is ofloxacin,  
10          aminoglycoside, gentamicin, as well as a penicillin  
11          class drug, and showed that in all cases, if we  
12          combined the bacteria -- engineered bacteriophage  
13          together with the antibiotic we -- you got a  
14          potentiation of killing by several orders of  
15          magnitude. This was simultaneous treatment.

16          We also looked at what happens if you can  
17          take sort of bacteria that are already resistant to  
18          drugs. So here's an example where these bacteria were  
19          already resistant to ofloxacin.

20          We showed that, you know, normally if you  
21          just apply ofloxacin on its own, really, these  
22          bacteria don't really get affected by very much, maybe  
23          an order of magnitude of killing. Combine this  
24          together with the engineered bacteriophage, we again  
25          get a very significant potentiation of the killing

1 effect.

2           So we then went on to test this in an animal  
3 model of infection. We basically took *E. coli*,  
4 infected the bac -- infected mice, and then tried to  
5 treat with either antibiotic alone, which is shown in  
6 black, the solid black line, or the combination  
7 therapy, the engineered phage, plus the ofloxacin  
8 antibiotic, and showed here in blue we can -- found  
9 sort of increased survival with the combination type  
10 approach.

11           So I think, moving forward, it would be  
12 quite interesting to explore how, you know, engineered  
13 -- phages can be engineered in this fashion to try to  
14 synergize with antibiotic -- particular antibiotics,  
15 or, as I'll show you a little bit later, where we  
16 might be able to engineer phages to try to directly  
17 re-sensitize bacteria to antibiotics or kill  
18 selectively antibiotic-resistant pathogens.

19           So that sort of leads us to this next story.  
20 So when I first started the lab I had two very  
21 talented students, Rob Citorik and Mark Mimee, who  
22 wanted to take this to the next level and think about,  
23 can we build even more targeted strategies as  
24 antimicrobial agents.

25           So we started off thinking about, again,

1 this problem of broad spectrum antimicrobials, which  
2 generally address either protein-based targets or  
3 other sort of, you know, cell wall synthesis type  
4 mechanisms. What if we could actually develop  
5 antimicrobials that act at a very different level,  
6 right?

7           So if we want to really realize this dream  
8 where we can make a new antimicrobial base really  
9 quickly, then one of the best ways, potentially, to do  
10 that is if we can just make sort of sequence-specific  
11 antimicrobials. Because we can make -- we can  
12 sequence DNA really easily, and then we can make -- we  
13 can print DNA really easily, right? It's a lot easier  
14 for us to do that rather than develop a new drug with  
15 a target-specific protein.

16           So what if we could actually enact specific  
17 targeted pressure against undesirable genes at the  
18 level of DNA? So in order to do this we actually  
19 started off using zinc finger and TALE factors, but  
20 quickly realized that the CRISPR system was a more  
21 powerful way to do this.

22           I'm sure everyone here knows how CRISPR  
23 works, but just briefly to mention that we sort of  
24 think about the Cas9 enzyme, which is shown here, this  
25 Mickey Mouse structure, as a molecular scissor, it's

1 directed by what's known as guide RNA, to target a  
2 specific location of DNA and cause cutting.

3 In human cells people use this for genome  
4 editing because the cutting event leads to repair  
5 pathways that repair the DNA in a specific way. In  
6 bacterial systems that lack, you know, very robust  
7 repair systems, this can induce cell death.

8 So the idea here would be very simple. What  
9 if you could actually engineer a bacteriophage -- we  
10 also did this with bacterial conjugation in sort of  
11 like a -- we could talk about this later, if you're  
12 interested -- we -- sort of like in a gene drive type  
13 methodology. You can imagine spreading sort of self-  
14 transmissible plasmids everywhere that contain this.  
15 But here, because this is a bacteriophage meeting,  
16 I'll just focus on the phage-based data.

17 So what if you could make a phagemid, right?  
18 So this is not a propagating phage, it's just a virus  
19 structure that contains a piece of DNA that just  
20 delivers the DNA. So, again, this is really thinking  
21 about phages as a gene therapy vector.

22 So what if we could package the Cas9 system  
23 into a phagemid, and then use that to deliver this  
24 Cas9 system into targeted bacteria? The idea would be  
25 that in bacteria that contain a specific gene that you

1 don't like, like a resistance gene, antibiotic  
2 resistance gene, you could cause DNA cleavage, cause  
3 those cells to die, but in a related bacteria that  
4 doesn't have that sequence, they would be fine.

5           So here's the experiment we did. We took a  
6 bacterial cell. This is, in this case, *E. coli* that  
7 contains a genomic target. Here we tried two  
8 different settings. So we had wild type *E. coli*, as  
9 well as *E. coli* with the gyrase A mutation that  
10 confers quinolone resistance, and then we developed  
11 two different RNA-guided nucleus type phagemids, one  
12 that targets the *ndm-1* beta lactamase gene -- this is  
13 -- as well as one that targets specifically this  
14 mutation, *gyrA*.

15           So in the base case, in the wild type cells,  
16 you basically transduce them with this phagemid, and  
17 it basically showed there's no really toxicity that  
18 you can see with this sort of approach, but if you  
19 then follow up with using this engineered, what we  
20 call the sort of RNA-guided nuclease phage, or the  
21 CRISPR phage, to deliver into these cells, we can get  
22 a very selective killing of the bacterial population  
23 with the gyrase A mutant, but not the *ndm-1* targeting  
24 mutant.

25           So this, in effect, shows us that we can

1 actually -- if we can achieve efficient delivery of  
2 payloads into a specific bacteria either using phages,  
3 or conjugative methods, or other methods of these Cas9  
4 type elements or other CRISPR systems, could be useful  
5 for causing site-specific cleavage, as well as then  
6 cell death.

7           Because of time I won't show you some of the  
8 other data we generated in this paper. You could take  
9 a look. We also show that you could actually target  
10 plasmid-borne targets. Depending on the context of  
11 that plasmid, you could either just cure the cells of  
12 the plasmid without affecting, really, toxicity  
13 against the cells, or cause cells to die.

14           Other applications of this technology  
15 potentially using the CRISPR system as a diagnostic  
16 tool. So here, this idea is, again, very simple. If  
17 we can engineer these phages to cause cleavage, the  
18 cleavage event, at least in some bacteria, triggers  
19 the SOS system, and then if you have a reporter that  
20 turns on some sort of GFP or luciferase, you could  
21 then use this for very sequence-specific diagnostic  
22 tools.

23           We did two examples of this. Here, one  
24 where we have *E. coli* with the *ndm-1* plasmid from a  
25 clinical isolate. We show that with the cognate RNA-

1 guided nuclease you see an increase in GFP  
2 fluorescence in that case, and similarly in the *E.*  
3 *coli* that contains the gyrase A mutation, you get a  
4 very selective increase in GFP fluorescence.

5           So, again, I think if you're going to build  
6 very specific killing tools, you need very good  
7 diagnostics that go with them. There's a lot of  
8 improvements we can do upon this, but this is a proof  
9 of concept that you might be able to use this  
10 methodology for sequence-specific diagnostics of  
11 bacteria based on their genomic sequence.

12           So I think one of the things I want to  
13 follow up on is, you know, we've talked a lot in this  
14 conference, I think almost every talk in this  
15 conference has really been about infectious disease  
16 applications of bacteriophages, and, indeed, that's  
17 the traditional way of thinking about phage therapy,  
18 and then -- we are quite also excited about that  
19 potential approach.

20           I do think that actually one way for phages  
21 to maybe have a broader usage is to sort of think  
22 about phages as a way of modulating the microbiomes,  
23 right? So when we're using bacteriophages to target a  
24 population, oftentimes the bacteria that we're going  
25 after are not just like sort of the dominant player

1       there, they might be one small member of a sort of  
2       consortia of bacteria that have a wide range of  
3       effects on our immune system or health, and so if  
4       we're going to go and try to modulate the microbiome,  
5       we need tools that are very narrow spectrum and  
6       targeted to be able to modulate specific members of  
7       the microbiome.

8                 Right now the tools that we have are  
9       relatively crude.  So we have fecal transplants, which  
10      is like taking an entire ecosystem and trying to slam  
11      it onto another ecosystem, we have antibiotics that  
12      sort of act as like sort of a nuclear bomb on your  
13      microbiome.

14                So I think if we're thinking more precisely  
15      about replacing or delivering things into a microbiome  
16      we need tools like phages, potentially, or other sort  
17      of narrow spectrum antimicrobials that can be useful  
18      for this type of approach.

19                So I would advocate that thinking -- going  
20      forward, as a field, we should think not only about  
21      the infectious disease applications, but let's think  
22      about the microbiome-type applications.  There's a  
23      couple advantages here that we can talk about maybe  
24      later in the forum, one of which is that potentially  
25      you can avoid sort of only talking to the ID docs.

1           No offense to ID docs, but really expanding  
2 upon the indications that you can go after with  
3 engineered phages or, you know, natural phages for  
4 microbiome-associated diseases which are now being  
5 implicated in a variety of different areas, including  
6 GI health, neurodevelopment, et cetera. Really opens  
7 up the scope of what this powerful tool is that we all  
8 have a lot of interest in.

9           So we've been thinking about how do you  
10 start modulating microbiomes, and can you test the  
11 specificity of this. I'm just only going to show you  
12 *in vitro* stuff because it's the stuff that's been  
13 published so far, but we're certainly doing a lot of  
14 *in vivo* work in this now.

15           Here's an example using the CRISPR phage.  
16 It's to target a three-member consortia, right? So  
17 all through these bacteria here, blue, purple, and  
18 orange are all susceptible to the phagemid, they can  
19 all be infected by the phagemid, but only -- they all  
20 have different genomic signatures.

21           So, for example, if we apply phage B, which  
22 targets the B gene in this population, we only want to  
23 kill the bacteria and we want to leave the other guys  
24 happy, right? So imagine if you had a bunch of *E.*  
25 *coli* in your body. One of them was bad, you want to

1 get rid of that, but affecting everyone else.

2 Similarly, we do that with this phage A gene.

3 So here's an example where we have the three  
4 member community. If we apply the very specific *ndm-1*  
5 bacteriophage, we can basically knock down the *ndm-1*  
6 tool -- sorry -- the *ndm-1*-containing bacteria, and  
7 similarly with the gyrase A mutation.

8 So we're starting to think about these  
9 phages not just as like antimicrobials, but, really,  
10 can we do what RNAi did for genetics. But think about  
11 this at a population level. Can we build phage-based  
12 tools or other conjugative-based tools that allow us  
13 to do specific knock downs of a bacteria in a  
14 population. All right.

15 So this is a summary of this particular  
16 approach. I sort of mentioned sort of genomic  
17 targeting, but the paper actually describes other  
18 stuff. Targeting plasmids, for example. And we're  
19 sort of thinking about this like gene drives, where  
20 people try and eliminate mosquitoes in a population.  
21 What if you could eliminate bacteria also, similarly  
22 in a way. This is kind of radical, we haven't figured  
23 out the regulatory path, but I think, technically,  
24 it's actually very doable. All right.

25 So in the remaining time, I know we have

1 lunch afterwards, I thought I'd tell you some -- just  
2 finally, the other things we're working on in the lab.  
3 I think we've quickly realized after all this work  
4 that phages are a really useful tool. We can engineer  
5 them to deliver all sorts of cool payloads into the  
6 bacteria. The challenge ultimately, and this is  
7 similar to the gene therapy field, is that like  
8 delivery is still the challenge.

9           So how do we achieve delivery? How do we  
10 get the bacteria that we want, and be able to engineer  
11 the phages to do what we want, and deliver the right  
12 thing to the right place? So we heard about this  
13 earlier from the previous speaker, about sort of  
14 classic phage hunting. I think there's a lot of  
15 benefit for that side of -- type of approach. The  
16 primary benefit is that you come out with natural  
17 phages, and, potentially, the regulatory hurdle I  
18 think is lower with those sort of things.

19           But I think there is a great opportunity for  
20 thinking about phages as an engineerable  
21 biotechnology. So one of the things we've been  
22 interested in doing is to try to adapt this idea of  
23 the antibody, but conceptualize this with the phage  
24 scaffold.

25           So what if you could take a phage and keep

1 most of the phage the same and simply switch out some  
2 parts of that phage to redirect its activity against  
3 other bacteria? If you could do this, there might be  
4 some advantages.

5 First, most of the phage scaffold is the  
6 same. It just makes genetic engineering of that phage  
7 scaffold really easy, right? We can develop one set  
8 of tools and just use it over and over again.

9 Secondly, manufacturing potentially could be  
10 easier, right? So if we don't have to worry about  
11 sort of manufacturing 20 different phages that are  
12 completely different from each other, if we have one  
13 phage that's quite uniform and simply tweak, for  
14 example, the tail fiber to change a spectrum, that  
15 might be beneficial.

16 So this idea of the phagebody we did some  
17 work on a few years ago, and we continue to do stuff  
18 now. I have a couple papers in review on this idea.  
19 Basically, we wanted to show that you could actually  
20 take phages and swap tail fibers, right? So it's  
21 previously been shown in -- from the literature that  
22 phages can make hybrids, for example, and you can  
23 change specificity based on that strategy.

24 Could we develop an engineering pipeline to  
25 enable that tail fiber swapping more efficiently? So

1 here's a very simple concept. Can we take the red  
2 phage, which normally goes after the red bacteria, and  
3 instead make it go after the blue bacteria. The way  
4 we do that is by giving the red bacter -- phage the  
5 blue bacteria phage's tail, right? So we swap them.  
6 All right.

7 So we heard earlier about some of the  
8 challenges of engineering bacteriophages. One of the  
9 challenges with engineering bacteriophages, especially  
10 lytic ones, is they kill bacteria, and most of the  
11 tools that we have for engineering anything is reliant  
12 on sort of bacteria staying alive.

13 So we had to come up with an alternative  
14 strategy. Fortunately, you know, the folks at JCVI,  
15 and others, have developed tools based on yeast that  
16 allow you to do very efficient genome engineering.

17 So what we realized is if you could capture  
18 the phage genome into a yeast artificial chromosome,  
19 you know, the phages are pretty happy in terms of  
20 living there because they don't really kill the yeast,  
21 and now you can propagate the yeast, do whatever you  
22 want with it.

23 The other cool thing about yeast is it's  
24 really good at DNA assembly. So you can make  
25 different fragments genomically and assemble them

1 together. You can then extract the phage genome out  
2 and then transform your bacteria, and then you can  
3 then, in many cases, actually boot up viable phages.  
4 It's pretty cool.

5           So here's an example where we did this. We  
6 basically wanted to just recapitulate some of the  
7 experiments that had been known previously on T7, T3  
8 hybrids. So here's an example where we basically took  
9 T7, and we grafted the GP17 tail fiber protein from T3  
10 onto the T7. We built two different constructs, one  
11 where we swapped the whole gene 17, we also swapped  
12 just a portion of gene 17 between the two phages, and  
13 we showed that this basically was sufficient to cover  
14 host range switching activities.

15           So basically, the T7 with the T3 tail fiber  
16 basically looks like T3 in terms of its host range,  
17 the T3 with the T7 tail fiber looks like T7 in terms  
18 of its host range.

19           We've also now done this with other bacteria  
20 -- sort of targeting other types of bacteria. Here,  
21 this is a very simple example where we simply mutated  
22 several point mutations in the T3 genome to make T3  
23 phage now go after *Yersinia*.

24           We've also done other experiments where we  
25 actually start swapping tail fiber components between

1 bacteria that target different species. So here we  
2 took *Klebsiella* K11 and we swapped several components  
3 onto the T7 scaffold.

4 So one of the things we're trying to explore  
5 is really how modular and flexible this strategy is  
6 going to be. Initially, we were hoping that the tail  
7 fiber itself was going to be sufficient for conferring  
8 this host range switch. With K11 we realized that  
9 this was not actually going to be possible, and so we  
10 ended up having to swap the GP11-12 structure which  
11 really composes this sort of tubular structure, as  
12 well as the tail fiber, in order to get sufficient  
13 tail fiber swapping.

14 Here's just a demonstration that the T7 with  
15 the *Klebsiella* tail components infects *Klebsiella*, the  
16 K11 phage with the T7 components infects *E. coli*.  
17 Here's both on plaque assay, as well as based on  
18 killing. The T7, K11 shown here in the green does  
19 have about three or four orders of magnitude of  
20 killing, although it's not as good as the native  
21 bacteriophage, and we're trying to figure out why that  
22 might be the case.

23 Similar as what I showed you earlier. The  
24 ultimate goal here is can you produce a population, a  
25 cocktail of these bacteriophages, whether directly

1       having lytic efficacy or delivering some sort of  
2       payload, but go after a population of bacteria.

3               And so here, again we just show that you  
4       could actually make cocktails of these bacteriophages,  
5       for example, T7 plus T7 containing the K11 tail fiber  
6       -- so basically, these phages are majority similar --  
7       except of the tail fiber component -- and show that  
8       when you put these things together, you can then  
9       eliminate one or more species in a sort of rational  
10      way from a microbial population, as we show here.

11              So, with that, sorry to run a little bit  
12      over time. I think I just want to reiterate this idea  
13      that, you know, infectious diseases is great, we  
14      should continue going after that, but I think,  
15      personally at least, we're very excited about sort of  
16      the opportunities here for modulating microbial  
17      communities and thinking about microbiome type  
18      applications.

19              This wouldn't be possible without a very  
20      good lab and talented group of people. So Rob Citorik  
21      and Mark Mimee did the work on the CRISPR phages,  
22      Sebastian Lemire, as well as Hiroki Ando, did the work  
23      on the engineered phage bodies. With that, I'd like  
24      to thank you for your time and take any questions.

25              DR. RANALLO: So that was a lot to unpack,

1 Tim, but I'm sure we have -- we certainly have time  
2 for questions if anybody has any questions. Oh, and  
3 just for my FDA regulatory colleagues, in terms of  
4 engineering, that pain in your head, that's called a  
5 new headache.

6 (Laughter.)

7 AUDIENCE MEMBER: Hi. That was a super,  
8 super cool presentation.

9 DR. LU: Thanks.

10 AUDIENCE MEMBER: I have a couple of  
11 questions for you. Have you tried using nanoswitches  
12 for your bio detection assays? Sort of a similar  
13 approach, but with a nanoswitch.

14 DR. LU: What do you mean by a nanoswitch?  
15 I'm not familiar with the term.

16 AUDIENCE MEMBER: You could use your  
17 bacteriophage, couple it with your whatever,  
18 luminescent release thing, and when the bacteria  
19 binds, it will make a conformational change that will  
20 release that light.

21 DR. LU: I see. So you're saying that  
22 instead of like forcing the cell to express some sort  
23 of payload, to have some sort of reporter that, based  
24 on its conformational change, when the phage binds, it  
25 sort of switches on activity?

1 AUDIENCE MEMBER: Yeah.

2 DR. LU: No, we haven't done that. That's  
3 an interesting concept. Potentially could be a faster  
4 way of doing the detection.

5 AUDIENCE MEMBER: It would be. And did you  
6 think about coupling your bacteriophages with nanodots  
7 or nanoparticles? And so you would amplify your  
8 signal and get a real time detection.

9 DR. LU: Yeah. No, I think that's a great  
10 idea. Think it's worth thinking about. Thanks.

11 AUDIENCE MEMBER: Okay. That's my comment.

12 DR. LU: Okay. Thanks.

13 DR. TURNER: That was really nice. I have a  
14 question about -- the evolution of modularity does  
15 help you with the swapping of tail fibers, and then  
16 you found out that you had to kind of go deeper into  
17 the structure to make it work.

18 What about the mode of replication inside of  
19 the cell, where there is kind of a phage that used to  
20 a stamping machine, order of replication has to do  
21 something else. Do you have any evidence yet that you  
22 can take the approach and move into a very distant  
23 cousin, you know, a very distant relative, and what  
24 are the challenges?

25 DR. LU: Yeah. So I think the challenge is,

1 as you mentioned, if you want the phage to replicate,  
2 it sort of goes -- you not only need to be able to  
3 bind and deliver DNA, but you need that sort of DNA to  
4 be functional.

5 So in this particular experiment we actually  
6 started off with phages that we knew could replicate  
7 in the target host. So, for example, we just took  
8 *Klebsiella* phage DNA, electroporated it into *E. coli*,  
9 it could boot up.

10 I think that's going to be a challenge with  
11 some more distantly related applications, so I think  
12 there we're going to need to be able to identify  
13 chassis that potentially either have broad host range  
14 capabilities in terms of replication capacity, or you  
15 might have to build sort of cocktails that are based  
16 on sort of nearly related bacteriophages.

17 The one area that we are quite excited about  
18 is actually not thinking about the phages as totally a  
19 lytic tool, but, really, just delivery. So if you  
20 could just deliver stuff and you could have some other  
21 mechanisms of action, you know, based on the DNA that  
22 you've encoded, then I don't have to necessarily worry  
23 about the phage having to replicate in order for us to  
24 have the activity.

25 So sort of more thinking about them as sort

1 of purely just biocapsids that you can swap around is  
2 another -- is actually one of the big areas that we're  
3 trying to move this technology into. It's a little  
4 bit simpler.

5 DR. TURNER: Nice.

6 DR. LU: Thanks.

7 AUDIENCE MEMBER: Excellent presentation.  
8 So this engineering things is very attractive, but my  
9 question is if the receptor starts changing when you  
10 use this engineered phage, what is your remedy?  
11 Because you spend too much time and money to develop  
12 this engineered phage.

13 DR. LU: Yeah, yeah, that's a great ques --  
14 so I didn't show you here because the paper is  
15 currently in review right now, but we have some  
16 strategies to engineer phages and -- sort of at a high  
17 level, build very dense libraries of bacteriophages  
18 that you can then easily find sort of new vectors that  
19 overcome that. So I think, at a vague level, that's  
20 what we've been able to do.

21 I think certainly phage evolution, I mean  
22 bacterial evolution is always going to be a challenge  
23 for I think phage-based approaches, whether you're  
24 using it for lytic applications or non. So I think --  
25 I'm not going to say that you're going to ever be able

1 to deliver -- sort of have a methodology that's going  
2 to always work universally, but I think we need high  
3 throughput strategies to keep up with the pace of  
4 evolution. I think that's the only way we're going to  
5 keep up with bacteria.

6 So, but they're always going to try to  
7 outrun us in terms of their ability to evolve  
8 resistance to whatever we're throwing at them.

9 AUDIENCE MEMBER: So your concept is  
10 developing an engineered phage library to tackle all  
11 the problems.

12 DR. LU: Yeah. I think there's a lot of  
13 regulatory questions about how that might be applied,  
14 but like if we can get these phage-bodies sort of to  
15 work and we have a common scaffold, but we're simply  
16 changing certain components to get around the bacteria  
17 -- keep pace with as the bacteria resist, then, from a  
18 technological level, we can definitely do it. From a  
19 regulatory perspective, I'm not sure how they would  
20 view that.

21 But from -- if we can do it technically, I'd  
22 rather show that first, and then maybe figure out the  
23 details of the regulatory afterwards.

24 AUDIENCE MEMBER: Right. It look ready for  
25 the venture capital aspect because you can take the

1 patent on those, you know, modified phages, but nature  
2 already prepared these phages into the 10 to the 31  
3 titer. You need to just harvest them and use it.

4 DR. LU: Well I think that's certainly an  
5 interesting -- yeah. So I think there's sort of like  
6 the natural phage groups, and then there's a  
7 engineered phage group.

8 I think the challenge, at least from my  
9 perspective, if you want to enhance the natural  
10 capacity of some of the phages through genetic  
11 engineering at least, if you're going to make a  
12 cocktail of like 50 different, well even just like  
13 five really diverse phages, it just becomes really  
14 hard to genetically engineer those in any  
15 industrially-relevant way, and so we're trying to set  
16 up methodologies where you sort of have well-defined  
17 things that you can manipulate over and over again.  
18 It just makes it a lot easier to commercialize. Yeah.  
19 Yeah. Thanks.

20 DR. RANALLO: Okay. So we have ample time  
21 for lunch. We're going to be back here -- oh. Thank  
22 you, morning speakers, very much. It was excellent.  
23 We're going to have some more discussion on engineered  
24 phage and natural phage during the panel discussion.  
25 I hope you guys can all join us.

1                   We're going to come back here a little over  
2                   an hour, at 12:40. So we're off for lunch until  
3                   12:40. Thanks.

4                   (Whereupon, at 11:35 a.m., the meeting in  
5                   the above-entitled matter was recessed, to reconvene  
6                   at 12:40 p.m. this same day, Tuesday, July 11, 2017.)

7                   //  
8                   //  
9                   //  
10                  //  
11                  //  
12                  //  
13                  //  
14                  //  
15                  //  
16                  //  
17                  //  
18                  //  
19                  //  
20                  //  
21                  //  
22                  //  
23                  //  
24                  //  
25                  //



1 think it's interesting to keep in mind that there  
2 could be some other diseases and some other situations  
3 where phages could potentially be used  
4 prophylactically.

5           Some other, I think, unique parts of my talk  
6 compared to what you've heard so far is, you know,  
7 we've heard a lot about the traditional paradigm of  
8 finding phages from the environment, from sewage, and  
9 finding ones that are active against the bacteria you  
10 want, and so one of the important parts of my talk is  
11 that we get our phages from the same environment where  
12 you want them to work, and I'll try to point out why I  
13 think that's important.

14           So just conflict of interest statements.  
15 So, along with two of my post-docs, we founded a  
16 company called PhagePro, and I'm currently a  
17 scientific advisor.

18           Okay, so the science. So cholera, as you  
19 probably all know, is this profuse watery diarrhea and  
20 vomiting disease. Virtually all cholera in the world  
21 and in the previous pandemics that we're able to have  
22 data on the strain have been caused by this O1 sera  
23 group. That's an LPS type.

24           There's about 150 different serogroups known  
25 for this species, so it's interesting that virtually

1 all cholera is caused by this O1 type. That's  
2 important because I'm going to show you later that  
3 this is a receptor for many phages, this O1 LPS.

4 The secretory diarrhea and vomit are filled  
5 with *Vibrio cholerae*, and this is a highly  
6 transmissible bacterial pathogen, as I'll show you in  
7 the next couple of slides. It's got a high death  
8 rate, so prompt treatment with rehydration therapy is  
9 very important.

10 There is an oral whole cell killed vaccine  
11 for cholera, but it only gives partial, short term  
12 immunity. There's a lot of research on trying to come  
13 up with better vaccines.

14 So we've talked -- we learned a lot about  
15 d'Herelle yesterday. He was the one who first  
16 discovered cholera phages, and he noted that often he  
17 would find these virulent phages coming out in cholera  
18 patients' stool samples, so it's fun to a hundred  
19 years later still be working on, you know, I wouldn't  
20 say rediscovering what he's done, but making use of it  
21 in modern times.

22 So this slide kind of shows the classic view  
23 of the life cycle of cholera. So a susceptible person  
24 drinks contaminated water, they get cholera. The  
25 bacteria colonize the small intestine, make cholera

1 toxin, and they get this profuse watery diarrhea that  
2 results in what are called rice water stools. This  
3 contaminates the water further and this -- you get  
4 this vicious cycle.

5 But it's been appreciated for a long time,  
6 but there's been some recent studies that have really  
7 pointed out this tremendous problem of rapid household  
8 transmission.

9 So some recent papers have shown that the  
10 infection rate jumps two orders of magnitude, from  
11 about 2.5 per thousand via water-borne to about 230  
12 per thousand if you're in a household where somebody  
13 comes down with cholera, so this means that about 23  
14 percent of the households are exposed.

15 The peak incidence of these secondary cases  
16 in the household is two to three days after the index  
17 case. that's a huge problem during cholera outbreaks.  
18 There's not enough time to go in and vaccinate the  
19 household contacts.

20 So this is one idea we had, is perhaps we  
21 could use phages in a prophylactic manner to protect  
22 these, you know, the household contacts. The idea is  
23 that maybe by doing this very efficiently, we could  
24 perhaps blunt outbreaks.

25 So in thinking then about this idea of

1 prophylaxis using phages, we had a number of questions  
2 that we have come up I'm sure in other people's minds  
3 as well.

4           So the first is are there *Vibrio cholerae*-  
5 specific lytic phages that are virulent in the human  
6 small intestine where the cholera is happening? I  
7 think this is an important point.

8           We all screen for phages in the laboratory  
9 but it's been known for many years from many pathogens  
10 that they alter their surface properties during  
11 infection as opposed to growth in a flask in the  
12 laboratory, and so perhaps the receptors change. I'm  
13 going to talk a bit about that today for some of the  
14 phages we're going to talk about.

15           So if there are such phages, what's the  
16 biology of these phages? What receptors do they use?  
17 What insights can you get from looking at the arms  
18 race between the bacteria and the phages? What are  
19 the mechanisms of *Vibrio cholerae* escape from these  
20 phages, because of course they will escape. And,  
21 importantly, do escape mutants remain infectious?  
22 Then finally I'll address this last question, can  
23 phages protect from cholera in an animal model.

24           So this work on this started off a number of  
25 years ago with a former post-doc, Kimberley Seed.

1 She's now an assistant professor at UC Berkeley. In  
2 collaboration with my collaborators in Dhaka, Firdausi  
3 Qadri, and in Boston, Stephen Calderwood, we took  
4 advantage of this great collection of glyceroled and  
5 frozen rice water stools that Firdausi Qadri's been  
6 keeping in her freezers for years.

7 So we did this respective study just going  
8 back and getting a little bit of a frozen stool sample  
9 and then screening for phages in the stool sample.

10 So these are three different stool samples  
11 per year, and what you can see is we found plaques in  
12 a number of these stool samples, and we were able to  
13 isolate the phages, sequence them, and put them into  
14 families and learn a lot about what these phages were.

15 But for this slide, what's important is once  
16 we had the sequence and we saw how highly conserved  
17 these phages were, we were able to design PCR primers  
18 to go back and screen these stool samples in a more  
19 sensitive manner. When we did that we found something  
20 surprising.

21 So there was a much more prevalence of these  
22 phages in these stool samples, and this one, IC -- we  
23 call ICP1 was omnipresent. It was in every patient's  
24 stool sample, which is really -- to us, was shocking.  
25 I'll say that these three phages are still around to

1 this day. Even last year and this year's sampling  
2 shows that they're still the phages we find in cholera  
3 patients' stool samples.

4 So this kind of goes against that idea that  
5 there's a huge diversity of phages. This is a  
6 bacteria that lives out in the environment, that  
7 infects people. You'd expect a lot -- a huge  
8 diversity of phages. But it's not true. Apparently  
9 there's selection for phages that are really fit  
10 during this -- these epidemics and going into humans.

11 Now you might ask why do we get plaques in  
12 some cases and no plaques in others, and I'll say that  
13 a lot of these stool samples have a high titer of  
14 phage in them. And the reason is we look for plaques  
15 -- we isolate a single colony from that stool sample  
16 and use that to screen for plaques, and so the reason  
17 is that because often the *Vibrio cholerae* in that  
18 stool sample is an escape mutant that's resistant to  
19 the phage.

20 So starting with that first phage, ICP1,  
21 that phage that's omnipresent, we then asked basic  
22 questions. What's the receptor? It turns out if you  
23 mix this phage with *Vibrio cholerae* in the lab, you  
24 very quickly get escape mutants.

25 The escape mutants are truncations, or

1 alterations, to the LPS O1 antigen. That's the  
2 receptor for the phage. So this is what the O1  
3 antigen looks like.

4 *Vibrio*, as I said, high frequency escape,  
5 and the reason -- the way it does this is two of the  
6 genes within the biosynthetic locus for this O1  
7 antigen have this run of As, and so at a very  
8 frequency you get slip strand mispairing during  
9 replication and you get a frameshift mutation.

10 When you get a frameshift mutation there's  
11 stop codons downstream of these poly A tracts that now  
12 become in frame and you make a truncated product. The  
13 result, and this is work that Kimberley Seed did, is  
14 for the *manA* frameshift mutants you have a less dense  
15 O antigen on the surface, the phage don't like that,  
16 and the *wbeL* frameshift mutants are missing this  
17 tetronate modification and the phage can't plaque on  
18 them either.

19 So this high frequency escape is apparently  
20 evolved as a built-in mechanism within the bacteria,  
21 but we don't see these escape mutants, these  
22 frameshift mutants coming out of cholera patients.  
23 The reason for that, and this I think is an important  
24 principle that's been mentioned a couple of times in  
25 other talks, is that the receptor in this case is an

1 important virulence factor.

2 The O1 antigen, this was shown by Matt  
3 Waldor and John Mekalanos years ago, is critical for  
4 infectivity, and so these frameshift mutants are  
5 anywhere from 10 to a thousand-fold attenuated for  
6 virulence in, in this case, an infant mouse model of  
7 colonization. You can revert these frameshift mutants  
8 back and they regain virulence.

9 So this is why we don't see these high  
10 frequency frameshift mutants coming out of cholera  
11 patients, is they're -- they lose virulence. Yet some  
12 of those stool samples that I showed you where there  
13 was a circle, you can detect the phage by PCR, but not  
14 by plaque, the *Vibrio* strain in that stool sample is  
15 resistant.

16 So we then asked, well what's the mechanism  
17 of resistance of those *Vibrio cholerae* clinical  
18 isolates, and we used whole genome sequencing to show  
19 that these contained this unique island. It's an 18  
20 kilobase island called the PLE, for phage-inducible  
21 chromosomal island-like element.

22 You can see, here's a strain with the PLE.  
23 It's resistant, and it's called phage-inducible  
24 because it's been shown in analogous phage-inducible  
25 islands in gram-positives that upon phage infection,

1 these things pop out of the genome as a circle,  
2 replicate, steal packaging material of the helper  
3 phage, and that's how they're transmitted.

4 So we designed these outward-facing PCR  
5 primers to be able to detect this excision and  
6 circularization of this element and, lo and behold,  
7 within five minutes of adding ICP1 phage, we can  
8 detect this circle.

9 This excision and replication is not induced  
10 by other phages, and it gives immunity to this phage  
11 only. So it's kind of a phage-specific immunity  
12 system that the bacteria have evolved. And it turns  
13 out there's four different versions of this PLE in  
14 circulating clinical isolates. And Kimberley in her  
15 own lab is trying to figure out how these PLEs give  
16 resistance to ICP1.

17 But what we do know is that it works very  
18 well as a defense mechanism against this phage. So it  
19 reduces -- in a culture can reduce production of  
20 phages by five orders of magnitude.

21 Now we did occasionally come upon a stool  
22 sample from a patient where the *Vibrio cholerae* in  
23 that stool sample had a PLE, and yet ICP1 could still  
24 form plaques on it, so that there was more going on  
25 there. To figure that out, we just sequenced the

1 phage isolates, and what we discovered is that this --  
2 these phages that could plaque on a PLE plus host had  
3 their own CRISPR/Cas system. We published that a few  
4 years ago.

5 This shows the Cas genes. So this is, as  
6 far as we know, the only phage-encoded, naturally  
7 encoded CRISPR/Cas system. So here's the Cas genes.  
8 There's two CRISPR arrays, and here's four different  
9 isolates with CRISPR arrays. Upon sequencing and  
10 looking at these spacers we immediately learned the  
11 mechanism, because all these spacers in this green  
12 color are perfect matches to proto-spacers in the  
13 PLEs, either PLE1, 2, 3, or 4.

14 In fact, this phage from 2011 has spacers  
15 that target all four of the known PLEs, and so this  
16 phage is the first component of a phage cocktail I'm  
17 going to tell you about in a minute.

18 So the next question was is this CRISPR/Cas  
19 system fully functional? Can it acquire new spacers,  
20 which would be an amazing property for a phage. And,  
21 indeed, it can. If we delete spacers so it can't  
22 target, and we infect *Vibrio cholerae*, we'll get rare  
23 plaques where the phage has acquired new spacers  
24 against the PLE. This just shows some of those newly-  
25 acquired spacers.

1           So this is a phage that has an adaptive  
2 immune system that it can use against *Vibrio cholerae*,  
3 which I think is going to be a unique aspect of a  
4 phage cocktail.

5           So I have time to talk about one other phage  
6 real quickly. So ICP2 was not -- wasn't omnipresent,  
7 it was more scattered. We still find it. We found it  
8 last year, and in this year as well. It's also in  
9 Haiti, and we're working on a manuscript right now for  
10 that.

11           This is a completely different phage, and  
12 what we found is its receptor is not the LPS, but a  
13 surface protein, a porin called OmpU. So this is just  
14 a predicted structure of this porin that sits in the  
15 outer membrane. There's these loops sticking out to  
16 the surface of the cell.

17           What we found is that in some patients that  
18 were shedding *Vibrio cholerae* and ICP2, we found  
19 isogenic escape mutants of *Vibrio cholerae*. And  
20 sequencing them we saw that they had mutations,  
21 precise -- it's not deletions or stop codons, it's  
22 amino acid changes in these two outer loops, and so we  
23 hypothesized that the phage tail fiber probably  
24 interacts with this. And we have some unpublished  
25 data that confirms that. That this is what the phage

1 tail fibers engage with.

2 So these types of point mutations are kind  
3 of hard to get, if you think about it numerically.  
4 It's much easier to delete a gene or mutate it in  
5 other ways that just knock out the function.

6 But it turns out that OmpU is critical for  
7 virulence of *Vibrio cholerae*. During infection it  
8 switches the major porin from a porin called OmpT to  
9 this one called OmpU.

10 So, again, during infection in the presence  
11 of this phage, *Vibrio cholerae* is between a rock and a  
12 hard place. It needs to express OmpU, it needs to  
13 express its O antigen, and yet these phages are using  
14 those as receptors. So I think that, or we think that  
15 that's part of the reason for the success of these  
16 three phages.

17 Now some patients will be shedding these  
18 point mutants, but what's interesting is when we look  
19 at the publicly-available database of cholera strains  
20 that have been sequenced from many patients from many  
21 parts of the world, we don't see these point mutants.

22 They don't become fixed in the population.  
23 We just see the wild type and variant *ompU* sequence.  
24 And we have some data to show that these point mutants  
25 do have a subtle fitness cost, and we think that

1 that's why they -- there's probably evolutionary  
2 pressure to revert these mutants back.

3 Now some patients were shedding escape  
4 mutants against ICP2 that made a normal OmpU, at least  
5 by gene sequence, and so we went in and figured out  
6 how these are escape mutants. It turns out they have  
7 null mutations in a gene called *toxR*, and this just  
8 shows a few examples: stop codons, mutations, and  
9 critical residues.

10 Now why would mutations in *toxR* give escape?

11 Well it turns out that *toxR* is a positive regulator  
12 of *ompU* during infection. Again, *Vibrio* has this  
13 switch from OmpT to OmpU during infection.

14 What's interesting is *toxR* is also a major  
15 virulence regulator. It regulates the cholera toxin  
16 genes, it regulates pilus that's needed for  
17 colonization, and so these escape mutants are rendered  
18 avirulent. We wanted to show that taking some of  
19 these point mutants that we got out of human patients,  
20 and showing that they're highly attenuated in animal  
21 models. So this is now two examples of this where  
22 escape mutants can escape the phage, but they're  
23 attenuated, or have fitness costs.

24 So we've put together this cocktail of these  
25 three phages that we find -- year in and year out

1 we're finding in cholera patients in Bangladesh and  
2 tested them out for prophylaxis. Again, keep in mind  
3 this idea of preventing household transmission.

4           So this is not a novel idea. Of course,  
5 phage therapy was -- back in the 1920s and '30s was  
6 tried for cholera, but a lot of those studies weren't  
7 well-controlled. It's not clear if it worked or not.  
8 I'd like to point out that this well-controlled study,  
9 clinical study that was done in 1971 unfortunately  
10 showed that a phage cocktail did not have efficacy in  
11 a -- again, in a well-controlled study.

12           And I would think nowadays cholera is such  
13 an acute disease where, really, it's rehydrating the  
14 patient and giving them antibiotics. That's the  
15 treatment. I don't foresee therapy being used, at  
16 least not in and of itself, in treating cholera  
17 patients.

18           The idea of prophylaxis is an old one as  
19 well for cholera, but recent studies haven't shown  
20 that it works. So this study from the Sarkar lab used  
21 an adult rabbit model of cholera, and they basically  
22 showed it didn't work. The phages lost orders of  
23 magnitude titer within a few hours, and it didn't bode  
24 well, but we forged ahead, thinking that maybe our  
25 phages, which I'd like to think have evolved to be

1 virulent in the context of the cholera small  
2 intestine, maybe they will work.

3 So I'm going to show you some data from  
4 Mimmin Yen who's here with us and another post-doc,  
5 Lynne Cairns, where we've tried out this idea. And  
6 we've recently published this this year.

7 So we have two animal models for cholera:  
8 the infant mouse, the infant rabbit. Cholera will not  
9 infect adults, except for adult humans, which we have  
10 no data for.

11 So first the infant mouse model. So a  
12 typical experiment is we'll give them 10 to the  
13 seventh pfu of single phages, or the cocktail, we wait  
14 three hours, that's the transit time of liquid through  
15 the small intestine, then we challenge them, and then  
16 we'll determine the load of *Vibrio cholerae* 24 hours  
17 later.

18 So here we see that the load in the no phage  
19 group of mice is very high, and with single phages we  
20 get different levels of reduction of the load of the  
21 bacteria. ICP1 not so good. Not surprising. I  
22 showed -- told you that's this high frequency  
23 frameshift mechanism. The cocktail worked the best,  
24 and ICP3 worked the best.

25 Now when we look at the *Vibrio cholerae* that

1 are still in these animals at 24 hours, we see escape  
2 mutants. That's no surprise. We see escape mutants  
3 for ICP1, 2, and 3. The concentration of phage in  
4 these animals generally reflects the load of the  
5 bacteria. The more bacteria there are, the higher the  
6 load of phage, and that's -- of course you'd expect  
7 that.

8 So based on this first experiment showing  
9 the cocktail seemed to work pretty well, we asked,  
10 well how long do the phages last in the intestinal  
11 tract? So we gave them about 10 to the seventh, 10 to  
12 the eighth of these individual phages and looked at  
13 retention. What you can see is they were retained  
14 pretty well out to 24 hours, although ICP3 really  
15 starts to go away by 24 hours.

16 So I'm going to show you some prophylaxis  
17 experiments where we give the cocktail and we test  
18 longer times, up to 24 hours, the idea being, for  
19 humans, they could drink the phage cocktail once or  
20 twice a day.

21 So when we look at longer times of  
22 prophylaxis we see a different story. The bacteria do  
23 colonize. So we see this bimodal protection at six  
24 and 12 hours between giving the phage cocktail and  
25 challenging them. Within 24 hours, all of the animals

1 are colonized. I'll note that the load is about  
2 eighteen-fold lower.

3 When we go in and look at the bacteria that  
4 are colonizing these animals, many of them are escape  
5 mutants. They're escape mutants that have lost the  
6 receptor, and so they're -- they have lost virulence.

7 So now I'm going to switch to this infant  
8 rabbit model. The infant mouse model's a model of  
9 colonization, they don't really get profuse diarrhea  
10 like humans do, but infant rabbits do get profuse  
11 diarrhea like humans.

12 So we give the infant rabbits 10 to the  
13 tenth pfu -- that's the combination of the three -- we  
14 wait three or 24 hours, and then we challenge them.  
15 So what you can see is the rabbits that don't get  
16 phage are -- have a high titer, they're very sick,  
17 they lose a lot of body weight. We have to euthanize  
18 them once they lose 10 percent of their body weight.

19 The three and the 24-hour prophylaxis times  
20 were protected to varying degrees. Again we see a  
21 bimodal protection for the three-hour prophylaxis, and  
22 in the 24-hour, just like in the infant mice, they're  
23 all colonized, but here, the load is about 300-fold  
24 lower. And, again, if we go -- and we've done  
25 exhaustive studies on what *Vibrios* are still there.

1 Many of them are escape mutants to one, more rarely  
2 two, of the phages, but we don't see escape mutants to  
3 all three of the phages in these populations, and a  
4 lot of these escape mutants we see are avirulent.

5 So, again, I think it's part of the reason  
6 for the success of these phages in nature. And so --  
7 that these animals are colonized, but with mostly  
8 avirulent strains, the hope would be, well they don't  
9 have disease. Indeed, if we look, we don't see any  
10 symptoms of cholera in these animals.

11 If you go and look at the percent body  
12 weight, there's no significant loss of body weight.  
13 So no phage, no *Vibrio cholerae* challenge. They lose  
14 a little bit of body weight because they're away from  
15 their mothers for this duration of this experiment.

16 The no phage prophylaxis group, I mentioned  
17 they're all very sick. These had to be euthanized  
18 much earlier than any of these other animals. But,  
19 again, no body weight loss, and that's consistent with  
20 the lack of seeing any symptoms.

21 So we're hoping that this can work in a  
22 similar manner in humans by reducing the load of the  
23 bacteria, or perhaps preventing the bacteria from  
24 colonizing. I point out that here we administer a  
25 huge dose of the bacteria. During household

1 transmission I would -- we don't really know the dose  
2 that people are exposed to, but hopefully it's not  
3 tremendous numbers.

4 So the last thing I'll tell you is these  
5 three phages, we've look at other gram-negatives, they  
6 appear to be very specific for *Vibrio cholerae*, but we  
7 wanted to show that they don't alter the gut  
8 microbiome, and so we did this experiment that I'll  
9 mention quickly where we have a heat-killed phage  
10 group, a group of adult mice that got the live phage  
11 cocktail, and then as a positive control for a change,  
12 vancomycin.

13 Now we looked at phage coming out in the  
14 stool pellets and it kind of declines, but even at 60  
15 hours we still see phages. So we looked at the  
16 microbiome at zero, one, and two days, and the bottom  
17 line is the antibiotic-treated group going from *T* zero  
18 to one, to two days has this tremendous change in the  
19 fecal microbiome, as you'd expect, but our heat-killed  
20 and our phage-treated all cluster together. There is  
21 no substantial change. If you blow this up, there's  
22 no pattern of change in the microbiome.

23 So we expected this, but it's nice to show  
24 this, that the phage cocktail does not alter the gut  
25 microbiome.

1           So just to summarize, we have these three  
2 virulent phages that we find repeatedly coming out in  
3 cholera patients naturally, and all three phages use  
4 receptors that are essential virulence factors so this  
5 limits escape within humans. When there is escape you  
6 have these avirulent mutants, and so probably this is  
7 reducing the pathogenesis in some humans that are  
8 asymptomatic or have mild symptoms.

9           One of our phages has its own CRISPR/Cas  
10 system, an adaptive immune system that can keep pace  
11 with *Vibrio cholerae*'s PLE defense system. This phage  
12 continues to be prevalent today with the CRISPR/Cas  
13 system, so it's part of its success.

14           Then I showed you that a cocktail of these  
15 three phages can be used to prevent infection and  
16 reduce infection in a high-dose challenge in animal  
17 models. And then finally, that the cocktail, as would  
18 be predicted, doesn't substantially alter the  
19 intestinal microbiome.

20           So I mentioned the people that did the work  
21 during the talk. I should also mention Andrea Wong's  
22 working on ICP2 receptor work, and Dave Lazinski's the  
23 senior researcher in my lab who has a hand in a lot of  
24 this stuff. And I thank my international collaborator  
25 here, Firdausi Qadri. Thanks. Happy to answer any

1 questions.

2 AUDIENCE MEMBER: Hi. So do you see any  
3 changes in expression of cholera toxin in your escape  
4 mutants?

5 DR. CAMILLI: Yeah. So the *toxR* escape  
6 mutants that come out of some humans with this phage  
7 in their stool are avirulent in animal models, and  
8 they don't express the cholera toxin in the entire  
9 *toxR* regulon, which inc -- has many virulence factors.

10 Other alterations to cholera toxin, we  
11 haven't seen that yet. The other escape mutants, like  
12 the LPS rough mutants, they still have the virulence  
13 regulon intact, but they're avirulent for another  
14 reason. They need their LPS for colonization.

15 AUDIENCE MEMBER: Two questions. One is is  
16 the PLE induction purely a matter of gene dosage or  
17 are there also genes actually turned on? Secondly,  
18 what -- can phages -- escape PLE, and, if so, how do  
19 they do that?

20 DR. CAMILLI: So we don't yet know what  
21 induces the PLE to excise and replicate. We know it's  
22 specific for ICP1. And Kim, I can't -- I mean she --  
23 I saw her recently. She has some data where she kind  
24 of is figuring out what causes excision, and she  
25 hasn't told me the details so I can't tell you, but

1 it's some --

2 AUDIENCE MEMBER: So it's gene dosage  
3 clearly goes up, and as the --

4 DR. CAMILLI: Oh, you mean their excised  
5 element?

6 AUDIENCE MEMBER: Yeah --

7 DR. CAMILLI: Oh, that thing replicates to a  
8 copy number of about a thousand, which is tremendous.

9 AUDIENCE MEMBER: Oh. So it's going to be a  
10 huge dose of whatever it is it's delivering.

11 DR. CAMILLI: Yeah. But once the signal has  
12 been given to excise, then it just takes off  
13 replicating.

14 AUDIENCE MEMBER: And so if -- phages can  
15 escape PLE, can they not? I mean I saw it's 10 to  
16 minus six or something like that when you --

17 DR. CAMILLI: But it's only through the  
18 CRISPR mechanism.

19 AUDIENCE MEMBER: So you can't get point  
20 mutations in the phage that doesn't have a CRISPR.

21 DR. CAMILLI: We have no mutants, other than  
22 the CRISPR/Cas system, that can overcome the PLE  
23 defense system. That being said, have we looked very  
24 hard?

25 AUDIENCE MEMBER: I was going to say, you

1 can't repeal the law of phage genetics, right? It'll  
2 find a way, I would think.

3 DR. CAMILLI: Yeah. I mean there -- maybe  
4 there's isolates in our, but the dominating is the  
5 CRISPR/Cas systems. CRISPR/Cas.

6 AUDIENCE MEMBER: I was just wondering, of  
7 the 77 percent of the household contacts that don't  
8 get it, have you or your collaborator looked to see if  
9 they have signs of having some of your phages?

10 DR. CAMILLI: There are now some NIH-funded  
11 projects to start to look at that, look at the -- look  
12 more at this household transmission, and why do some  
13 people get cholera and others don't.

14 I would hypothesize, it's speculation, that  
15 sometimes they're the lucky ones that got a dose of  
16 phage at the same time they encountered the bacteria,  
17 but that's pure speculation. D'Herrelle was on to  
18 this stuff, you know, a hundred years ago,  
19 hypothesizing similar things.

20 AUDIENCE MEMBER: There are obviously  
21 several parallels between the PLE and SaPIs. Do you  
22 have any evidence that they might be packaged by the  
23 ICP1 machinery?

24 DR. CAMILLI: Yeah. So the SaPIs in *Staph*  
25 *aureus* are these chromosomal islands that pop out.

1 They steal packaging material. They're transmitted at  
2 a high frequency that way. They don't -- they  
3 interfere with the helper phage a little bit, but not  
4 much. *Vibrio cholerae* PLE, there's no homology with  
5 the SaPIs, other than an integrase gene.

6 So we don't know if there's a common  
7 ancestor, but they knock down phage infection almost  
8 completely, so we think they're different in that  
9 sense.

10 *Vibrio cholerae* -- so the bacteria lyse and  
11 release those thousands of circles, and *Vibrio* is  
12 naturally competent. That's probably the major mode  
13 of transmission of this element. But Kim Seed, my  
14 former post-doc, does have some evidence that there is  
15 some packaging, very low level packaging, and she's  
16 trying to work out the details of that.

17 DR. RANALLO: Quick question. So do you  
18 ever see ICP1, 2, and 3 in the same stool? Have you  
19 been able to detect that?

20 DR. CAMILLI: Yeah. So we see -- rarely,  
21 we'll see two of the phages in a stool sample, but  
22 we've never seen all three in a stool sample. Because  
23 it's a good question. Why -- well it would be -- it  
24 would not be in the phage's best interest to prevent  
25 cholera. They need it for dissemination.

1           So it could just be a predator/prey. Like  
2 the household contacts that don't get cholera, maybe  
3 they have all three.

4           DR. RANALLO: Okay. So we heard a little  
5 bit about Tom Patterson's story yesterday a few times.  
6 We're going to continue that with the next talk.

7           Dr. Biswajit Biswas from the Naval Medical  
8 Research Center is a phage team leader at the  
9 Biological Defense Research Directorate at NMRC in  
10 Fort Detrick, and his title is rapid emergence of  
11 phage-resistant bacteria during phage therapy of a  
12 terminally-ill patient who was infected with a  
13 multidrug-resistant *Acineto baumannii*.

14          DR. BISWAS: Hello. Good afternoon,  
15 everybody, and thanks the organizers to allow me to  
16 present my data of the recent phage therapeutic  
17 applications in human.

18          So my topic today is rapid emergence of  
19 phage-resistant bacteria during intravenous  
20 application of phage therapy of a terminally-ill  
21 patient who was infected with the multidrug-resistant  
22 *A. baumannii*.

23          You know, you hear the -- you heard all the  
24 story yesterday from Dr. Schooley. Today I'm going to  
25 mainly discuss about the bacterial mutation leading to

1 the phage resistance during this therapy.

2 So this is the disclaimer. I have to show  
3 it. I have no conflict of interest to declare.

4 So I work for U.S. Navy at Biological  
5 Defense Research Directorate at Fort Detrick.  
6 Currently, our phage-based programmatic efforts are  
7 the -- can be, you know, explained in three different  
8 part. There are therapeutic applications of phages,  
9 prophylactic applications, and diagnostic  
10 applications.

11 Our therapeutic applications, we are  
12 generally working with natural phages. Prophylactic  
13 applications, we try to use some lambda phage to  
14 modify to make vaccines. In this aspect, a long time  
15 back when I used to work for a company, I prepared a  
16 vaccine, cancer-based vaccine for using phage display  
17 technology which is in phase 1 clinical trial  
18 currently at BDRD. We are making some vaccine  
19 specific for targeting for malaria and prevention.

20 So for diagnostic applications, we are  
21 currently developing some rapid diagnostic process for  
22 using phage. So for therapeutic applications, we are  
23 currently working with MRSA, VRE, and *Klebsiella*,  
24 *Pseudomonas*, and *baumannii*. So these are all based on  
25 natural phage applications.

1           For engineered phage side we are developing  
2           some sorts of, you know, delivery systems to deliver  
3           some lethal genes to neutralize the bacteria which are  
4           mainly in stationary phase, because stationary phase  
5           bacteria is very difficult to treat with, you know,  
6           phages.

7           So, lastly, the phage components which we  
8           are trying to clone is like some source of some  
9           endolysins and lysozyme genes. This is ongoing  
10          projects.

11          So 2013 -- you know, I joined the BDRD at  
12          2010. During that time I was working to develop  
13          natural phage therapy for *Bacillus anthracis*. That  
14          was very interesting work.

15          But in 2013 we got some seed money to  
16          develop some therapy, natural phage therapy, for  
17          *Acinetobacter baumannii* and *Staph aureus*, so we joined  
18          with Navy Wound Department and Army Wound Department  
19          to develop some animal model to use to develop phage  
20          therapy for *Acinetobacter baumannii* infections.  
21          Mainly wounds infections.

22          So why we are interested for this? Because  
23          during the Iraq War we saw the type of -- last Iraq  
24          War we saw 30 percent of -- 35 percent of clinical  
25          infection was caused due to *A. baumannii* infections.

1 Currently, WHO prioritized *A. baumannii* as their  
2 priority number one organisms for antibiotic  
3 resistance problem.

4           You see that there are near about 60,000 to  
5 100,000 infections reported at USA and 13,000 in all  
6 five European, you know, countries. This data is part  
7 ER reported cases. So there are a lot of *A. baumannii*  
8 problems.

9           So when I thought about these projects, how  
10 to develop these, we were thinking about different  
11 approach. So I talked about using a very broad  
12 spectrum monophage because previously we develop such  
13 type of treatment for VRE bacteria at NIH. So we  
14 thought that probably it is possible to find a  
15 monophage.

16           Then next one was to -- what about a  
17 cocktail, fixed cocktail with phage therapy? Then we  
18 thought about to make some engineered phage also.  
19 Soon we realized that none of these things will  
20 probably work for *A. baumannii* treatment because *A.*  
21 *baumannii* is very, very diverse. The clinical  
22 isolates are very diverse.

23           So monophage -- find a monophage is very --  
24 prospect of monophage is very difficult. Also the --  
25 if we try to use cocktail, the -- probably resistance

1 will pop out. Engineered phage is a lucrative idea,  
2 but it take long time and lots of manpower.

3 So we lastly thought about to use natural  
4 phages and to direct -- this was towards more than  
5 personalized and precision approach. So we start  
6 harvesting phages, lot number of natural -- large  
7 supplies of natural phages from environmental samples.

8 So the process is very simple. I think  
9 yesterday somebody asked what is the process? How you  
10 isolate the phages from the nature? It's very, very  
11 easy. We get sewage water, and then near about 300 ml  
12 of sewage water we put tryptic soy agar powder, just  
13 the raw powder, and then inoculate them with a little  
14 bit, 200 microliters of actively growing culture. In  
15 this case it's *A. baumannii* against which we are  
16 looking for phages.

17 So in this primordial soup everything start  
18 growing, and the smell is not pleasant. You know, the  
19 whole lab starts smelling horrible. But anyway, so  
20 after that, within the -- within six to -- six hours  
21 toward 18 hours later, we harvest samples from there,  
22 we filter-sterilize those samples or chloroform treat  
23 to deactivate all other bacteria, and then we plate  
24 them against the bacteria against which we are looking  
25 for these phages -- this way we can find many phages

1 simultaneously, sometimes for many different bacterial  
2 isolates -- and we make our phage collection  
3 libraries.

4 So right now we are near about 208 *A.*  
5 *baumannii* phages in our collections.

6 So recently we have opportunity to test the  
7 strength of -- about this natural phage library. This  
8 is specifically that case which associated with UCSD.  
9 You know, the UCSD -- one of the USCDC case. This case  
10 actually, the case history was reported yesterday by  
11 Dr. Schooley, but for the newcomer, I'm just  
12 presenting it again.

13 The patient was a 68 years professor  
14 psychiatrist from UCSD, and he was traveling to Egypt  
15 during Thanksgiving time. He developed pancreatitis  
16 in Luxor, and he was hospitalized. During  
17 time -- that time, probably he was infected with this  
18 multidrug-resistant *A. baumannii*.

19 They transfer him in Frankfurt where they  
20 found this multidrug-resistant *baumannii* from his  
21 pancreatic pseudocyst, and he was evacuated,  
22 ultimately, to UCSD, his home station. Home  
23 hospitals.

24 So here you can see the -- these pictures  
25 were provided by Dr. Schooley. You can see the growth

1 of the abscess in the biliary duct. So I'm avoiding  
2 these slides because we don't need to put it there.

3 Previously, also, we developed our unique  
4 system to evaluate all the natural phages  
5 simultaneously to find out their therapeutic efficacy.  
6 In this process we actually use microwell plates, 96-  
7 well microwell plates. We diluted the phage serially,  
8 and then we used some control, bacterial control and  
9 media control, and then we infected all of these wells  
10 with the same number of bacteria.

11 During this time we also -- in the media we  
12 add a dye called tetrazolium dye. So during active  
13 bacterial respiration, tetrazolium dye start to  
14 reduce, and during this process the dye start changing  
15 color. So the color change from light yellow to a very  
16 dark purple.

17 So we scan these plates in a machine called  
18 OmniLog, TM system. In this machine a camera every 15  
19 minutes take a live picture of these plates. So this  
20 is actually a graph which produce from every 15  
21 minutes monitoring the bacterial growth.

22 So here you see that when we collect the  
23 data from the machine and plot it, you see the growth  
24 rate of different bacter -- same bacteria in presence  
25 of different phage. So this is the bacteria control.

1 You can see it. So it is actually you are monitoring  
2 the phage-bacterial interaction in real time.

3 So when we receive this, you know, request  
4 from UCSD to provide some phage for treatment, we  
5 immediately pull out 98 *A. baumannii* phage from our  
6 collections, we very rapidly use our robots to  
7 distribute all the phages, and then we inoculate it  
8 with the patient's isolates, whatever we receive from  
9 patient.

10 So within 16 hours -- 16 to 18 hours, we  
11 found 10 of the phages which are active against this  
12 patient's bacteria. So that particular isolate we  
13 call TP isolate because the person who was -- from  
14 whom we gave this, you know, isolates, is -- his name  
15 was Dr. Tom Patterson.

16 So now the question is how we select this  
17 personalized phage. You know, phage for this  
18 personalized phage therapy. We found four phages, I  
19 mentioned, and then we monitor their activity in the  
20 BioLog system. We see all these phages are very  
21 virulent.

22 So we didn't have a chance to monitor their  
23 receptors activities or anything like that because the  
24 time was short, so we selected these four phages, and  
25 then we studied and we found that they can combinely

1 reduce the bacterial growth completely. This is the  
2 control bacteria.

3 So we pull out all these four phages from  
4 our collections, and then we make a small-scale  
5 lysate, then we grow a large-scale lysate. From there  
6 we -- this is near about a 3.8-liter culture. We  
7 purify it through tangential flow filtration systems,  
8 and this is actually a diafiltrations where we  
9 exchange the media against buffer, and that also helps  
10 to reduce the LPS, some extent.

11 So then it goes through the continuous  
12 cesium density gradient purification process, and then  
13 we isolate the phage bands. So here you can see the  
14 phage bands. These phage -- after we collect these  
15 phage bands, generally the titer is 10 to 11 per ml  
16 during this time, and we dialyzed it very rapidly,  
17 filter-sterilize, and then, you know -- this was done  
18 separately.

19 Then we combined all those phages together  
20 and did a sterility test and produce investigational  
21 drugs for personalized cocktail, for use.

22 So I like to mention for this therapy the  
23 source of the therapeutic phages came from two  
24 different places. So phages provide by the Center of  
25 Phage Technology in Texas A&M Universities are AC4,

1 CP12, CP21, CP24. AC4 actually came from AmpliPhi.

2 Here, in Biological Defense Research  
3 Directorate, we produce four phages, which are Ab  
4 phage 1, 4, 71, 97. Later also, we provide another  
5 phage that is AbTP3 phage 1. I will talk about it  
6 little later.

7 So you can see that -- here is the phage  
8 therapy dose per day. This is actually our cocktail  
9 phage, what was used intravenously. The phage  
10 administration start two days before, but that was for  
11 the inter-cavitary wash.

12 Seventeenth March, Dr. Schooley start giving  
13 this phage intravenously, and this is the number of  
14 time he injected it. So you can see that -- how many  
15 times he give this -- use this phage.

16 So I like to mention, also, that our phage  
17 was never used directly for inter-cavity wash, so  
18 always this phage was used for intravenous  
19 administration.

20 So during this process Dr. Schooley also  
21 harvested the bacteria from the patients. So those  
22 bacteria call -- we -- those isolate we named as TP1,  
23 TP2, TP3. TP1 was isolate before giving our phage,  
24 and TP2, TP3, and TP4, TP4.1, all these things was  
25 isolated after giving phage therapy.

1           So the source of all these bacteria is  
2 mainly from pancreatic drain. You see their date when  
3 they're isolated, 21, 23rd, 9th May. Like that.

4           So we were very interested to see what is  
5 going on into the -- into bacterial side, you know, so  
6 we use BioLog system to monitor this -- our phage  
7 activities on these different TP1 isolate -- TP  
8 isolates. So we see that before the phage was given,  
9 the isolate which we call TP1 isolate, the -- all  
10 phages are very, very active.

11           So after the phage therapy, which was done  
12 at 17 March, and this isolate TP2 was harvested  
13 21st March, we see the phage is not that much active  
14 on this isolate anymore. We see the -- all these four  
15 phages are not that active like, you know, what was --  
16 they were very active before, or very virulent.

17           So we took the TP3 isolate and we ran it in  
18 our BioLog machine, and we see they are completely  
19 resistant. So we did the same thing with the A&M  
20 phages. We see that they have also, in initial stage  
21 of TP1, before given phage, they were partially  
22 active.

23           Because all these phage can make plaques,  
24 but they are not that very virulent like what they  
25 were -- our phages were. But later on we study this.

1 In the TP2 isolate, you see they are still little bit  
2 active, and then TP3, they're completely inactive. So  
3 this is the composite profile. So this is actually  
4 phage came from the Texas A&M, and this is a phage  
5 came from -- used by Navy. U.S. Navy.

6 So the resistance pop out. So what is the  
7 solution? So we thought about to find another phage  
8 immediately, and this time we went to environment  
9 directly, environmental samples, and we used this  
10 resistant bacteria to find out another phage, and here  
11 you see this phage. This phage is a unique halo  
12 former, so you can see the halo around this phage,  
13 clear phage spot.

14 We tested that new phage on original  
15 isolate, parental isolate, and also the resistant  
16 bacteria. So we see that, you know, these particular  
17 phage, which we call AbTP3 phage 1 is very active TP1,  
18 and also TP2 and TP3. TP2 figures are not given here.  
19 So that means this phage is very active, its parental  
20 isolates and the resistance population.

21 So we need to produce another cocktail so we  
22 run the BioLog assay using this new phage. You can  
23 see these phages can active up to seven hours, but  
24 then after that, resistance start popping out. So we  
25 thought, what about to pick up another phage from our

1 previous cocktail, which is AB71, and combine these  
2 two. When we combine, we see there is a complete  
3 remission of bacterial growth.

4 So we prepared a new phage cocktail, which  
5 call -- which we call Navy phage cocktail 2, using  
6 AbTP31 and Ab phage 71. So these are the phages which  
7 we used from our side. These are phage -- electronic  
8 photograph of those phages.

9 All these phages are *Myoviridae*. This is  
10 phage cocktail 1. Probably they had the same phage  
11 and they are using same receptors. And this is the  
12 *Podoviridae*, which is AbTP3 phage 1, which can kill  
13 the parental and the resistance isolate.

14 So what is going on in the bacterial side?  
15 Is it -- we thought -- first we thought that it may be  
16 the capsular difference between the parental and the  
17 phage-resistant bacteria because previously we  
18 developed another model for *A. baumannii* AB 5075 for  
19 wound infection. This one for wound infection in  
20 mouse model.

21 So we have five phages that time we used:  
22 AB phage A, B, C, D, E. We observe the AB phage A can  
23 produce plaque on the AB 5075 bacteria, but other  
24 phages, AB phage B, C, D, E has no effect. So you see  
25 here the AB phage A can, you know, prevent the

1 infection up to six to seven hours. Then the  
2 resistance pop out.

3 But when we mixed any of these -- any of the  
4 other phages with AB phage A, we see complete  
5 remission of bacterial growth. So, but this phage  
6 alone, this phage cannot make any plaques on this AB  
7 5075. Surprisingly, when you mix *AbB*, *C*, *D*, and *E*,  
8 they cannot prevent the bacterial growth. Here is the  
9 curve.

10 So to understand what is going on, we  
11 collect the bacteria after phage exposure, and then we  
12 monitor their surface, using the Raman spectroscopy,  
13 and we see that there is a specific peak appear if the  
14 bacteria has capsule. Is the peak appear in 979. But  
15 if bacteria lose capsule, then it become plain.

16 So we realize that after exposure to the AB  
17 phage A, the bacteria, you know, the selection  
18 pressure move the bacteria from live variant, to  
19 capsular variant, to a smooth variant, and that smooth  
20 variant then can be infected with the other phages,  
21 which are *AbC*, *D*, and *E*.

22 So we realize that AB 5075 is cap-positive.  
23 When we expose them in AB phage 1, they become AB 5075  
24 cap-negative, and they can -- then they can be killed  
25 by other phages.

1           So we thought the same thing is probably  
2           happening here. So we monitor the TP1, TP2, TP3, you  
3           know, with Raman spect and we found that there is not  
4           much difference. They are all same in 900 peak.

5           So then we though that let's stain the  
6           capsule itself. We stained the capsule and we found  
7           some difference in the thickness of the capsule. Here  
8           is the three pictures. So this is actually TP1, this  
9           is TP2, and TP3. You see that TP3, the capsules is  
10          less thick.

11          So, to understand better, we sequenced the  
12          whole genome of all these TP isolates, TP1, TP2, TP3,  
13          and you can see here this, you know, comparison of all  
14          these three different bacteria. This is compared to  
15          TP1, TP2, and TP3. The outermost ring is TP2, the  
16          innermost ring is TP3, and these are the reference  
17          bacteria.

18          You can see that these TP1, TP2, TP3, as  
19          compared to each other, they are very similar, whereas  
20          in reference bacteria they are very different. This  
21          indicate the heat map. The blue means, you know, they  
22          match properly.

23          So we look deep and we found that insertion  
24          of two mobile elements in TP3 disrupt the gene for a  
25          cell surface protein. Excision of mobile elements

1 that is present in TP1 joins two hypothetical protein  
2 sequence into one TP2 -- in one, TP2 and TP3.

3 Genes for the outer membrane protein CarO is  
4 truncated in TP3 and missing one amino acid -- missing  
5 several amino acids that would form a surface-exposed  
6 loop. Maybe that loop is contributing in the  
7 receptor. Within capsular biosynthesis region TP1 and  
8 TP3, glycosyl transferase genes also differ.

9 So all these findings, this one for CarO was  
10 very interesting to us, and also the glycosyl  
11 transferase gene, because it can change the thickness  
12 of the capsule.

13 So we further analyze that one, and here you  
14 see the CarO proteins in TP3 is missing, this part,  
15 and this cause a loop formation. CarO protein was  
16 also responsible for carbapenem resistance. So here  
17 you see the glycosyl transferase protein involved in  
18 capsular biosynthesis. There you see the gap of the  
19 two SNPs.

20 So we are investigating this more, and we  
21 don't know exactly what is causing this phage  
22 resistance yet, but we will going to dig it more.

23 So just to inform you that when we produce  
24 this Navy phage cocktail 1 and 2, we also estimate the  
25 LPS, and our LPS for cocktail 2 was 10 to the three EU

1 per ml, and this titer was near about 10 to 11 to 10  
2 to 12, so when we diluted it we maintained the FDA-  
3 recommended guideline 5 EU per kg per hour recommended  
4 per dose. So it is possible to make, you know, LPS-  
5 reduced phage prep using the cesium density gradient.

6 So we also estimate the plasma phage  
7 concentration. Here you see after just giving the  
8 phage, phage titer goes 1.8 times 10 to the four per  
9 ml of blood, but is rapidly reduced. It's mainly  
10 probably the liver and spleen entrapment of the phage  
11 in human body.

12 So phage stability. We also study the phage  
13 stability in Ringer's solution because they diluted  
14 the phage in Ringer's solution. So you see phage is  
15 very stable in the Ringer's solution, and there is no  
16 difference between this in the buffer and the Ringer's  
17 solution titer.

18 So we also monitor -- because Dr. Schooley  
19 reported that the phage be -- I'm sorry -- the  
20 bacteria become minocycline-sensitive, so we monitor  
21 their activity against minocycline and phage combined.  
22 So you see the minocycline, one microgram per ml, you  
23 know, is not -- cannot prevent the bacterial growth  
24 completely, but when it -- and the bacteria -- and the  
25 phage alone cannot prevent the bacterial growth, but

1 when we mix phage and minocycline together, you see  
2 that its diminish the bacterial growth. So there is  
3 some synergistic effect.

4 So we study that effect before also with  
5 some other bacteria, and we can see very eas -- very  
6 clearly that for *Staph aureus*, gentamicin, nafcillin,  
7 and cefoxitin work very well with phage and  
8 antibiotic. So here you see the bacteria and  
9 antibiotic, here you see the bacteria and phage, but  
10 when we mix bacteria and antibiotics, and phage, you  
11 see the complete, almost, inhibition of bacterial  
12 growth. All these study was done simultaneously in a  
13 BioLog system.

14 So recently we do -- did a -- you know,  
15 investigate the effect of meropenem in antibiotic-  
16 resistant *K. pneumoniae*, and we see that very little  
17 amount of phage and antibiotic can prevent the  
18 bacterial growth.

19 So we exposed near about four microgram per  
20 ml of meropenem and carbapenem-resistance  
21 *K. pneumoniae*, and you see that bacteria completely  
22 growing in presence of antibiotics, but in presence of  
23 very little phage, it's even in 0.0 -- 0.01 MOI, the  
24 phage and antibiotic can prevent the bacterial growth.  
25 So the phage and antibiotic, some antibiotic, can

1 produce a very strong synergistic effort.

2 So outcome of the phage therapy. Phage  
3 therapy was started -- actually, Dr. Schooley  
4 described all those things, but I'm just reading the  
5 slide here again. Phage therapy started as inter-  
6 cavitary installation at day 109, which were continued  
7 at six, 12 -- six to 12 hourly intervals. During this  
8 time, patient was unresponsive and -- to commands and  
9 had developed renal failure.

10 So over the next 36 hours clinical condition  
11 was stable, but he remained comatose. He needs  
12 pressors, and his renal hepatic functions was  
13 declining. After 36 hours of infection of inter-  
14 cavitary installations of the phage cocktail, phage  
15 therapy was introduced through intravenous route and  
16 five times 10 to the nine phage was given  
17 intravenously. That's our Navy cocktail.

18 After intravenous administration -- the  
19 patient tolerated that intravenous administration very  
20 well. After that, he came out from his coma. After  
21 intravenous application he came out from his coma, and  
22 then he start talking with his family, and for the  
23 first time in several weeks, that things happen. He  
24 was sick for last three months, almost.

25 So Dr. Schooley describe all those

1 phenomenon yesterday. I'm not going to go very  
2 details of that. So finally what happened, over the  
3 ensuing three weeks patient's mental status continued  
4 to improve and he was fully conversant and lucid. He  
5 was weaned off the ventilators, and his pressors were  
6 gradually weaned and were discontinued.

7 So the conclusion from my side is -- from  
8 our study, that modified OmniLog system is an ideal  
9 platform for studying phage bacterial interaction  
10 because you can monitor many phage-bacterial  
11 interactions simultaneously in real time with using  
12 this system.

13 Precision phage cocktail suppress emergence  
14 of phage resistance. Phage therapy can resensitize  
15 bacteria to antibiotic against which it has previously  
16 acquired resistance. Different phage-resistant  
17 phenotypes are observed depending on the phage-host  
18 combination studies. Antibiotic phage therapy synergy  
19 is possible.

20 So here you see the patient before given  
21 phage. Post-phage treatment and he's reading cards.  
22 Here you see he's watching and telling that science  
23 saves lives.

24 Just a couple of slides. This is the  
25 acknowledgment. This whole things was possible

1 because our support from our captain, Dr. Mateczun,  
2 and LCDR Theron Hamilton. He's actually my boss, and  
3 he is a very fine Navy officer. So -- no, he is  
4 really brave. He actually activate me to do these  
5 things.

6 Our lieutenant commander, Luis Estrella, Mr.  
7 Matthew Henry, and Mr. Javier Quinones worked day and  
8 night to make this preparation, phage preparation to  
9 send it to UCSD. I also like to mention that we are  
10 currently working with Adaptive Phage Therapeutics to  
11 develop this system further to provide it for general  
12 public.

13 Dr. Carl Merrill who is actually -- is my  
14 mentor also, I worked previously at NIH with him for a  
15 long time. So from the Food and Drug Administration I  
16 like to give thanks to Cara Fiore who actually  
17 approved the eIND process. This is the end of the  
18 story.

19 DR. RANALLO: Any questions?

20 (No response.)

21 DR. RANALLO: Okay. SO, with that, we have  
22 our last speaker. Jimmy Regeimbal is going to talk to  
23 us about phage and personalized medicine. The essence  
24 of his talk is to look at a well-characterized library  
25 to build personalized cocktails.

1           So Lt. Regeimbal is currently stationed at  
2           the Navy Medical Research Unit in Lima, Peru, where  
3           he's expanding the isolation of natural phages from  
4           remote and unique environmental samples. The title of  
5           his talk is phage therapy against MDR strains:  
6           Overcoming the double-edged sword of phage  
7           specificity.

8           Jimmy, it's all yours.

9           DR. REGEIMBAL: Okay. Good afternoon. Once  
10          again, my name is Lt. Jimmmy Regeimbal. I'm stationed  
11          at the Naval Medical Research Unit No. 6 in Lima,  
12          Peru, but prior to that I was at the Naval Medical  
13          Research Center in Silver Spring, Maryland, which is  
14          where a lot of the work I'm going to be talking about  
15          was actually done.

16          I'm also very aware that I am the last  
17          presenter of the last session on the last day of what  
18          is a very packed meeting, and it is tempting my  
19          natural ability to be reckless a little bit, so I  
20          might actually be a little bit more provocative than I  
21          was originally planning on being. Sorry about that.

22          So I tend to beat a fairly specific drum,  
23          which is this idea that -- well first let me get  
24          through my disclaimer because I have to do that.  
25          Although I am a uniformed service member, I'm speaking

1 on behalf of only Jimmy at this moment. These are my  
2 opinions. I am not speaking on behalf of the Navy or  
3 the DoD.

4 So within the Naval Medical Research and  
5 Development enterprise, it's really a collection of  
6 labs all over the planet. The Army has one as well,  
7 and so we work in very close partnership with them.

8 So, actually, I should say just from the  
9 very beginning that everything we have been doing, and  
10 everything that we are doing, has been in very close  
11 collaboration with the Army, specifically the Army  
12 Wound Infections Department, but also the Bacterial  
13 Diseases Branch, in general, at the WRAIR, and all of  
14 our animal model data, for example, will be -- was  
15 worked out in collaboration, in very close  
16 collaboration with that group.

17 But, generally, on the Navy side, we have  
18 groups that are interested in population level  
19 cocktails and engineered phages, phage diagnostics,  
20 phage vaccines. Obviously the project that I was most  
21 associated with was the natural phage therapy  
22 developing, using a library-to-cocktail approach.

23 I sort of think this is one of the most  
24 durable and robust ways of generating a phage-based  
25 therapeutic, and, really, to wrap your head around

1       what I really think this product actually is is I  
2       think you need to view it through the paradigm that  
3       the product is actually the library, and the  
4       application of that product to any individual case is  
5       actually the cocktail.

6                So our product is a little different.  It's  
7       a little bigger.  I think it's important to view it  
8       through that paradigm to really understand what it is  
9       I'm trying to do, or what we are trying to do.

10              So I'm not a very sophisticated person so I  
11       wanted to start back from the very bottom and ask the  
12       question of what are we actually trying to do when we  
13       try to use phages as therapeutics?  Really, you're  
14       exploiting a predator/prey interaction.  It's a  
15       horrible, but extremely helpful, analogy.

16              What you're doing is you're actually trying  
17       to generate an artificial situation.  And I use that  
18       word purposefully.  It's an artificial situation in  
19       which a collection of phages can drive a contained and  
20       local bacterial population to near extinction.  That's  
21       what you're asking it to do, and that's actually a  
22       fairly big ask.  It's kind of hard to get phages to do  
23       that.  To ask a phage cocktail to do that not only in  
24       one person, but in every person at a population level  
25       is an enormous ask, in my opinion.

1                   So if you do this sort of *reductio ad*  
2 *absurdum* thought experiment and then you imagine you  
3 have a phage on the planet, or a cocktail, or let's  
4 just say it's one, and it can kill every single strain  
5 of *Pseudomonas aeruginosa*, imagine a world where that  
6 existed.

7                   What would happen over a period of time?  
8 That broad spectrum phage would eventually kill all  
9 the *Pseudomonas aeruginosa*, and then you would not  
10 find that phage anymore because it ran out of its host  
11 and it hit a biological dead end. But that's what  
12 people are actually trying to do when they're looking  
13 for truly broad spectrum stuff.

14                   So that situation's almost selected against  
15 in nature because it would result in a biological dead  
16 end. So I think it's much more advantageous to just  
17 realize that exploiting that predator/prey interaction  
18 involves asking the phage to do something that,  
19 anthropomorphically, they don't want to do, and so you  
20 have to engineer that situation in which that phage  
21 cocktail can drive a local bacterial population to  
22 near extinction.

23                   So a lot of my talk is how we arrived at  
24 that. It's going to seem comically simplistic, but  
25 I'm doing that on purpose. So when you -- when -- the

1 first way you try to engineer that artificial  
2 situation is you use a ridiculously large population  
3 of bacteriophage, at like 10 to the seventh, or 10 to  
4 the tenth, 10 to the eleventh.

5           These are numbers we use all the time, but  
6 that's actually an enormous number of individuals at a  
7 population level. With that enormous number of  
8 individuals comes a whole lot of sequence diversity  
9 and a host range, and those are related to each other,  
10 but they're not exactly the same.

11           So I have here a sequence diversity. In any  
12 bacterial population you're actually going to have a  
13 consensus sequence and some distribution around that  
14 consensus. This is grossly oversimplified, but it  
15 helps me illustrate my point.

16           This is actually going to vary in at least  
17 four dimensions. Not smooth distribution around the  
18 consensus, but you have four nucleotides, you have  
19 indels, you have rearrangements, and so what you  
20 actually have is a cloud of closely-related  
21 bacteriophage.

22           Then you're taking that cloud of closely-  
23 related organisms, the N-dimensional cloud, and  
24 smashing it into a bacterial population which itself  
25 is an N-dimensional cloud of closely-related bacteria,

1 and the collision between those two populations is  
2 actually your therapeutic. So population dynamics  
3 really matter.

4 If you talk to microbial ecologists, a lot  
5 of them don't even consider -- I'm a biochemist by  
6 training. It's just -- to give that disclaimer, I'm  
7 not a phage biologist. It gets me into trouble with  
8 phage biologists.

9 But population microbial ecologists don't  
10 even sometimes view phages as being predominantly  
11 bactericidal, they view them as agents that can  
12 introduce bacterial diversity with antibacterial  
13 populations, and one of the major mechanisms for doing  
14 that is by killing off huge swaths of local bacterial  
15 populations and allowing those resistant mutants to  
16 outgrow, and so that's already happening in nature all  
17 the time, and we're actually trying to fight against  
18 that. We're trying to get them to drive the  
19 population all the way to extinction.

20 So what happens when you infect a phage into  
21 a bacteria, right? We've gone through this over and  
22 over again, where you basically have a phage that  
23 infects. Over a certain amount of time you're  
24 eventually going to get resistance. So it starts off  
25 where your sequence diversity is enough to cover your

1 strain of interest and that strain resides into the  
2 host range of that particular phage.

3 Eventually, resistance is going to pop out,  
4 it's going to pop out outside of the host range, and  
5 the sequence diversity is no longer enough to cover  
6 it.

7 So how do people get around that? Well,  
8 they go let's build a cocktail. That gives you a lot  
9 more sequence diversity to play with, you have a  
10 larger aggregate host range to deal with, and so when  
11 you treat the bacterial infection with those -- with  
12 that phage cocktail, it might take a longer period of  
13 time, but eventually, you're still going to get  
14 resistance.

15 This will happen every single time a phage  
16 interacts with a bacterial population, even a cocktail  
17 of phages, and so eventually you're going to get a  
18 host, or a bacterial strain that pops out and is now  
19 resistant.

20 But if your product was the cocktail, what  
21 do you do now? What do you do if you started with a  
22 cocktail and you have a whole bunch of strains that  
23 are -- just lie outside of coverage from that fixed  
24 cocktail in time?

25 If you started with a library you have far

1 more sequence diversity to play with, if you build  
2 your library correctly you can have far more aggregate  
3 host range to play with, and now it's a question of  
4 finding the correct phages in your library that could  
5 cover any clinical-relevant -- clinically-relevant  
6 strain that comes in to the lab.

7           So what you do is you have an arrayed  
8 library that's characterized -- I'll get into that in  
9 a second -- you screen using robotics and an algorithm  
10 for screening, which we have developed on the Navy  
11 side of the house, and you have to feed that through  
12 an assay that Dr. Biswas just recently talked about,  
13 but I'll come back to it in a second.

14           What this assay does is it actually helps  
15 you find what we are terming as synergistic cocktails,  
16 cocktails that show internal synergy between the  
17 phages.

18           So a more traditional cocktail is all the  
19 phages interact with, and infect, the parent strain of  
20 an infection, you get a several log reduction,  
21 sometimes up to four logs and so it could be really  
22 huge, then -- but eventually you're going to get  
23 resistance, and that will happen every single time at  
24 some frequency. Some low frequency.

25           When we generate our synergistic cocktails

1 through our iterative screening process, what we can  
2 actually do is find a collection of phages that work  
3 together, whereas one phage in the cocktail will  
4 infect the parent strain of the infection, you'll get  
5 several log reduction, that strain will become  
6 resistant so that phage will no longer work -- that's  
7 what you see here, in the middle -- eventually another  
8 phage in the cocktail which now didn't infect before  
9 now can infect that emergent strain, and so you have  
10 these phages working in series to drive a bacterial  
11 population to near extinction, even if the phages  
12 cannot go in reverse and infect the previous  
13 iterations of the phage.

14           Sorry. The phage cannot infect the previous  
15 iterations of the strain.

16           What we're also seeing, just like everyone  
17 else is noticing, is that when you get phage  
18 resistance, which finally will emerge even against our  
19 synergistic cocktails, those bacteria are usually way  
20 lower, they have reduced virulence, and they're often  
21 more sensitive to antibiotics. So that's also a  
22 mechanism that these cocktails are using to drive  
23 bacterial populations to extinction.

24           So when we go back in these synergistic  
25 cocktails and we ferret through our workflow, another

1 controversial aspect of this that I think is actually  
2 important whenever possible is to actually manufacture  
3 the phages you're trying to use therapeutically to the  
4 degree possible on the target strain. Everyone hates  
5 that idea because you'll be using an MDR clinical  
6 isolate to manufacture, at some level, phages.

7           The reason why I think that's actually kind  
8 of important to think about is because any time a  
9 phage interacts with a bacterial culture you're going  
10 to get some level of host adaptation. That host  
11 adaptation will happen every single time.

12           Again, what you can imagine is imagine you  
13 have a consensus sequence of your phage and it's  
14 perfect for infecting your target, but then you  
15 manufacture that strain, or that phage on a  
16 manufacturing strain.

17           What if the sequence is optimized here for  
18 infecting the manufacturing strain? What will happen  
19 is the -- when you grow that phage the new consensus  
20 sequence will shift. The sequence that was optimized  
21 for the manufacturing strain will become the new  
22 consensus sequence of that new emergent population of  
23 phages.

24           That *in vitro* might be completely  
25 undetectable. In a diffusion-controlled environment

1 you might not even notice that ever happened, but *in*  
2 *vivo*, when you put it back into an animal, for  
3 example, what we've noticed is that you have three-  
4 dimensional architectures. You have an immune system  
5 that's constantly trying to remove those phages.

6 That might be massively consequential, and  
7 you didn't really know it at the time. You could have  
8 shot yourself in the foot and shifted your population  
9 away from being optimized to your target, even though  
10 *in vitro* you can't even detect that shift. So if this  
11 is really possible, I think you should manufacture in  
12 the target strain if you can.

13 So that's sort of the way we envisioned how  
14 this would -- could work, and then we actually went  
15 and did it. So the way we build our libraries is we  
16 go to some of the worst places you would ever want to  
17 go.

18 We go to wastewater treatment facilities, we  
19 go to standing cesspools. This is the training  
20 population in Fort Benning Georgia where guys are  
21 swimming in a pond. There's phage to *Staph* there.

22 It turns out that ships are probably a  
23 pretty good way to look for phages because of the way  
24 they deal with what's called brown water -- you can  
25 use your imagination for what that is -- and it's in a

1 really big tank on the ship. So that is probably a  
2 good place to go.

3 In Peru, this is one of our favorite spots.  
4 We have five spots that look just like this, and they  
5 are filled with household refuse, diapers and fecal  
6 matter, food waste, trash. Animals water here. We've  
7 actually found a dead dog in it several times. It's  
8 very unpleasant. It's actually downstream of a local  
9 hospital, so you get hospital runoff.

10 Actually, the best place to find phage, or  
11 the best time to find phages is right -- is about a  
12 day or two after a rain storm because this would  
13 become, essentially, a static culture. Couple days  
14 after it rained you get this churning event, you get  
15 new stuff introduced in the environment. We find a  
16 burst of phages about two days after a good rain  
17 storm.

18 We have about five sites like this. I  
19 actually wrote a grant to try and do global phage  
20 harvesting at every place that DoD has a lab. I don't  
21 know if it's going to be funded yet, but what we want  
22 to build is one of the most robust libraries against  
23 all the clinically-relevant ESKAPE pathogens that the  
24 world has ever seen. That's what we're trying to do  
25 with the infrastructure of the United States Military,

1 but I don't know if it's going to be funded.

2           Once you build your library, again, Dr.  
3 Biswas talked about how you would isolate phages.  
4 What we're currently doing is we'll use clinically  
5 relevant strains of the ESKAPE pathogens, for example,  
6 that are local to the site of phage isolation because  
7 we want to get the best -- that would be the best soil  
8 to sort of grow your phages in from that region.

9           What we're trying to do is build a diverse  
10 phage library against clinically relevant ESKAPE  
11 strains. So once you get the -- a culture supernatant  
12 that's rich in phages that you care about, this red  
13 arrow is extremely important because that's going to  
14 be the arrow that is the characterization that is  
15 required to transition your phages from just  
16 environmental isolates to what is needed to be an  
17 arrayed library, for inclusion in that library.

18           So that arrow is probably going to be very  
19 expensive, it's probably going to involve sequencing,  
20 but a lot of the characterization requirements aren't  
21 even worked out yet. Eventually, what we want to  
22 build is an arrayed phage library in that way.

23           What we're going to be doing is iteratively  
24 screening it on a per person basis to come up with a  
25 personalized therapeutic cocktail. The way you do

1 that is you have a phage library, you're not sure  
2 which phages are going to be used, but a strain comes  
3 in from the clinic -- so this was the example of how  
4 we demonstrated this in an animal model.

5 Our target organism is *A. baumannii* I5075,  
6 which is a clinical isolate from an osteomyelitis  
7 patient. We have a version of it that expresses  
8 luciferase. It's got the lux cassette.

9 So the idea would be you would screen this  
10 phage library using -- against your target pathogen  
11 using our iterative process in the assay -- the BioLog  
12 assay that Dr. Biswas just presented, and what it  
13 helps you do is find phage that work synergistically,  
14 but you don't have to know the underlying mechanism of  
15 that synergy.

16 We've figured it out in this case, and it  
17 has to do with capsule production. So the Army  
18 actually had a great phage which could infect 5075 and  
19 it causes a lag in growth at about six hours, and then  
20 you get a resistant population that pops up. That  
21 resistant population is uncapsulated.

22 Then the Navy had four phages that infected  
23 that version of *A. baumannii* 5075, the uncapsulated  
24 version, very well. You blend them all together and  
25 you get a complete killing event that lasts way past

1 20 hours. It goes well out to over 36, even maybe 72.  
2 When you do see resistance, which will pop up  
3 eventually, it's stochastic. It doesn't happen in  
4 every version of the culture.

5 So essentially what you have is four phages  
6 that basically do nothing. They have no detectable  
7 activity against this isolate, you have one phage that  
8 sort of just delays its growth for about six-ish  
9 hours, but when you blend them together you have a  
10 possible therapeutic that gives you a complete  
11 killing, or at least as near as we can come to  
12 complete killing.

13 You've engineered the artificial situation  
14 in which you're almost driving a bacterial population  
15 to extinction.

16 Although we know it here, you don't  
17 necessarily need to know the underlying mechanism for  
18 that, which would allow you to screen through  
19 potentially dozens of these kinds of events without  
20 having to know the underlying mechanism for how that  
21 synergy's working as long as you know the phages  
22 you're starting with are safe.

23 And so we tried this in a mouse animal  
24 model. I think Col. Tyner presented this this  
25 morning, so I'll just go through it as quickly as I

1 can. It was a 60-animal study. The only reason I  
2 show this busy aggregate picture is because if you  
3 look in the PBS groups, what you see is that we had  
4 some adverse events.

5 We don't want to use death as an endpoint in  
6 this model, but sometimes it happened accidentally.  
7 There were also two cases in which we had to euthanize  
8 PBS-treated animals because they developed paralysis.  
9 The location of the wound is on the back of the mouse.  
10 We got tissue invasion that led to hind limb  
11 paralysis, and so we had to euthanize those animals.  
12 But we never saw those adverse events in any of the  
13 phage-treated mice.

14 So to give you a cleaner picture to look at,  
15 essentially, this is an aggregate picture, or a  
16 representative picture. You have a PBS-treated group  
17 on days one, three, and five. The group treated with  
18 just the Army's phage that -- it's the capsulated  
19 version of the *baumannii*, and then the full five  
20 membered cocktail.

21 In the PBS-treated group, again we saw about  
22 five fatalities. All those animals lost way more  
23 weight, and they all developed eye infections. So  
24 it's frequent that they start to groom each other  
25 again, and they all had massive eye infections. These

1 animals were very sick.

2 We never saw any of those events in any of  
3 the phage-treated mice, and in -- basically, in the  
4 full five membered cocktail we were able to lower  
5 bioburden by IVIS signal, and we were also able to  
6 lower bioburden not only by intensity, but by area.

7 So you can't really get better than the  
8 surgical wound, but you can get far worse if the  
9 bacteria invade neighboring tissue, which happened in  
10 the PBS control cases and didn't happen in the full  
11 five-member cocktail. The cocktail actually can --  
12 restrained the bacteria to only being in the original  
13 surgical wound.

14 We also had no detectable necrosis in the  
15 phage-treated mice. Again, in the PBS-treated group  
16 it advanced outward and you got necrosis in the  
17 surrounding tissue, and that didn't happen. The wound  
18 never got larger.

19 So then, as a result of that, the phage-  
20 treated wounds got -- remained smaller and closed  
21 faster, which allowed them -- basically, we concluded  
22 that the -- this proof-of-concept cocktail was able to  
23 treat a multidrug-resistant infection in mice. This  
24 technology development was actually the foundation for  
25 the work that was then used to compound a cocktail in

1 the eIND case in California.

2           What we also noticed, which is also the same  
3 thing that everyone is noticing, is that phage can  
4 push around bacterial populations. One of the ways  
5 the -- our phage cocktail could push the bacterial  
6 population was to become less virulent.

7           So 5075, when you -- so we have a very  
8 simple *Galleria mellonella* model, that was worked out  
9 again by the WRAIR, the Army side of the house, from  
10 the wound infections department, and basically, you  
11 have a wax worm, you inject it with a bacteria.

12           If the wax worm shrivels up and dies, the  
13 bacteria was virulent. It's a very easy assay to do.  
14 So if you inject wax worms with wild type 5075, the  
15 capsulated version of the bacteria, all the worms  
16 shrivel up and die by four days.

17           You can use any number of controls that  
18 don't make a capsule, and any of the mutants that  
19 popped up from our synergistic cocktail also had --  
20 were uncapsulated. If you inject those into the wax  
21 worm, they essentially survive.

22           So you've basically taken a phage  
23 therapeutic and was able to render a bacterial  
24 infection, or render a bacterial isolate less  
25 virulent. This is happening in lots of different

1 cases. There's lots of ways we've even seen that  
2 today, where bacteriophage can alter bacterial  
3 virulence in the emergent resistant populations. We  
4 can do that as well with just phage you might find in  
5 the sewer outside of this building, as long as you  
6 compound the cocktails correctly.

7           Again, we also see, which Dr. Biswas went  
8 over just a second ago, is that our phages -- the  
9 phages -- the kind of phages that we're finding can  
10 also synergize with antibiotics. So not only can we  
11 develop cocktails that have an internal synergy  
12 amongst the phages, but the phage, like everyone else  
13 is noticing, can synergize with antibiotics.

14           This is an example of *Kleb*. I think he just  
15 actually went over it so I'll just briefly go over  
16 this. We can see, even with low concentrations of  
17 phage in the presence of meropenem, you can reactivate  
18 the activity of meropenem in some way in the presence  
19 of antibiotic, or in the presence of phage.

20           So it could be that a strategy for phage  
21 therapeutics maybe to start is that you're never going  
22 to convince a clinician to stop using an antibiotic,  
23 so maybe we should just embrace that and say the first  
24 application for a phage could actually be, and the way  
25 to augment antibiotic therapy, and possibly even

1 reactivate an antibiotic that hasn't even been used in  
2 20 years. That could be a potential strategy,  
3 assuming that we can get it to work.

4 So just generally speaking, the Navy phage  
5 therapeutic program, in my opinion, I think a phage  
6 therapeutic that's based on a library-to-cocktail  
7 approach is actually the most robust and the most  
8 durable way of generating phage cocktails that will  
9 actually be efficacious in the clinic.

10 I think it makes -- and we've actually  
11 demonstrated this. We've showed it in animal models,  
12 we've shown it in a human compassionate use case. We  
13 can show that we can alter virulence, we can show that  
14 we can alter antibiotic sensitivity. Essentially,  
15 it's all based on phages that can be found all over  
16 the planet in the wild.

17 So what we're limited now by is just the  
18 availability of wild phages that we can then  
19 characterize, do the correct husbandry, and build the  
20 -- a library the world has never seen. I think we're  
21 poised to be able to do that.

22 So, in thinking about some of those issues,  
23 I think there's probably some -- numerous regulatory  
24 concerns, because that would mean lots of things that  
25 -- would be different about this kind of technology.

1 The first is we have to really figure out what is  
2 required to move a environmental isolate of a phage  
3 into a phage library and have that be called safe.

4 What does that mean? Do you require full  
5 genome sequencing? Does that genome have to be  
6 closed? Are draft genomes okay? Can we use PCR in  
7 certain cases? I also think the library will probably  
8 have to be iteratively updated.

9 So I heard yesterday people were talking  
10 about, well what if I have a fixed cocktail and I want  
11 to swap out a phage over time? And if you start to  
12 think about that, and if your product was the cocktail  
13 and you want to already start swapping out phage, that  
14 starts to sound a lot like a library-to-cocktail  
15 approach, just with a very small library.

16 So I would invite you to come over to the  
17 dark side and just embrace the library-to-cocktail  
18 approach. It would mean you have to change a lot of  
19 things, potentially, but it's a very robust idea, I  
20 think.

21 So, as clinically-relevant strains drift,  
22 we'll constantly have to be updating our library.  
23 There will no such thing as even a fixed library.  
24 Maybe you have to do it every year, every six months,  
25 every two years. It's hard to say.

1           In terms of manufacturing, I understood --  
2    you know, I think it's a good idea whenever possible  
3    to grow the bacteria on the MDR strain, the target  
4    strain of interest, so that you host adapt to the  
5    correct and most appropriate strain.

6           If that is your strategy, then your scale up  
7    isn't a 300 or 1,000-liter fermenter making a lot of  
8    GMP phages. What you're doing is it's a question of  
9    scale-up according to bandwidth. How often can you  
10   compound a personalized phage for individuals per unit  
11   time? So that scale-up is a little different than the  
12   way you would currently think about normal CMC for  
13   drug manufacture.

14           That would also mean that every time I  
15   compound a cocktail and I grow it on the target  
16   pathogen of interest, I would never give those phages  
17   to anybody else. They would be one-offs. It's just  
18   how many times can you do those one-offs per unit  
19   time.

20           And, again, this would also affect clinical  
21   trials. I think that the clinical trial caveats for  
22   phages have been beaten to death, so I can just sort  
23   of skip over that.

24           Finally, I'd just like to say that when I  
25   first joined the Navy four years ago I had no idea I'd

1 be working on phage. I'm actually a biochemist by  
2 training. The people that I've had a chance to work  
3 with have been fantastic, both in the Navy side, the  
4 Army side.

5 And now, down in Peru, we actually have a  
6 very eager team because in Peru, for example, and,  
7 actually, all over South America, MDR *Pseudomonas*, MDR  
8 *baumannii* is an extremely massive problem. You hear  
9 cases in the newspaper all the time of a young girl,  
10 for example, who goes in for appendicitis, she gets an  
11 IV line placed two days before for some reason, she  
12 got a *Pseudomonas* infection, and then three days later  
13 they had to cut off her arms and her legs because  
14 nothing would work.

15 I mean there -- this problem is everywhere.  
16 It might not be as visible in the U.S., but it's  
17 everywhere. It's a particular problem for the  
18 military because our wounded service members were  
19 coming back with some of the most severe injuries that  
20 you could think a human could survive, and they did,  
21 and then they got an infection which required even  
22 more surgery and more removal of tissue.

23 That just sort of can't happen, so we have  
24 to come up with a solution for this problem.  
25 Personally, I think a library-to-cocktail approach

1 using natural phages is one of the most robust I've  
2 seen as a potential solution for this.

3 So thanks again for everybody on the list.  
4 They're awesome. Doing science with them is a lot of  
5 fun. If you have any questions, I'd be happy to  
6 answer them.

7 Yes, sir?

8 AUDIENCE MEMBER: If I could ask a question  
9 with respect to someone who's run a successful phase 2  
10 trial with a fixed cocktail. Well, yeah, the only  
11 one. Agreed. But the only one. Two things.

12 First, antagonistic co-evolution. Your  
13 fixed X will drop outside the circle, but the circle  
14 will then spread to find it again. I've got a really  
15 good chapter written for a book I'm editing right now  
16 by Brockhurst on that. It is a fact, and it does  
17 happen. Phages aren't fixed the way a chemical is.

18 DR. REGEIMBAL: No. No.

19 AUDIENCE MEMBER: You know, that is an  
20 argument that I've used many times in the past.  
21 Second, you don't, I agree, expect a phage to  
22 eliminate its dinner. That's not what it does.  
23 That's not what it does ecologically.

24 DR. REGEIMBAL: Right.

25 AUDIENCE MEMBER: And, again, I've said that

1 many times. But if you can get the number of phage  
2 down below quorum sensing, down below pathogenic  
3 effect, down below -- sorry -- bacteria, down below  
4 pathogenic effect tissue damage, then you have got  
5 responses in the body which will help to clear it.  
6 Not only the adaptive, but the non-adaptive immune  
7 response. Even physical clearance, cilia in the ear,  
8 cilia in the lungs.

9 So is elimination actually required? I mean  
10 most antibiotics won't eliminate but they'll drop it  
11 down below the pathogenic threshold and the body can  
12 then cope, to quote me. Isn't that the possibility  
13 with a cocktail, regardless of the outlying Xs?

14 DR. REGEIMBAL: So I would answer my ques --  
15 your question this way. I am not ready to down-select  
16 any modality. I was meaning to be sort of tongue-in-  
17 cheek provocative, but I don't think anyone who's in  
18 the room ready to down-select what modality we should  
19 use.

20 I do think fixed cocktails would have lots  
21 of clinical applications. But when you use a fixed  
22 cocktail you're making, you know, several hopes, or  
23 maybe assumptions is an easier way to say that. Your  
24 assumption is that you can -- your cocktail will cover  
25 enough clinically-relevant strains to give you some

1 sort of efficacy.

2           You're hoping that your cocktail will knock  
3 down the infectious target in all people to a degree  
4 that can show clinical efficacy, you're hoping that  
5 your emergence of resistance is infrequent enough to  
6 give you clinical efficacy, and you're hoping that it  
7 can do all of those things for a long enough period of  
8 time to make it economically viable to sink the \$50 to  
9 \$120 million in your product you just sank.

10           So while that is possible, I do think we  
11 should all desire a better alternative, and I think  
12 that's not a mysterious alternative. It already  
13 exists, it's just a lot more complicated to bring to  
14 the market, which is to start with a library and just  
15 personalize as best we can.

16           So I fully admit, for example,  
17 retrospectively, after people compound personalized  
18 cocktails for a while you might empirically discover  
19 that a fixed cocktail in that dataset is great, and so  
20 every time you're making a *baumannii* library you have  
21 the same handful of phages in all those cocktails, so  
22 just start with those.

23           But I think that should be decided  
24 empirically downstream, not today when there is no  
25 commercialized product in the U.S., for example.

1           AUDIENCE MEMBER: Or you could take it the  
2 other way and go with the cocktail to start with, and  
3 people who come through that get the personalized  
4 approach.

5           DR. REGEIMBAL: Fair enough.

6           AUDIENCE MEMBER: Because the people in Peru  
7 living in that alley you showed won't have the  
8 resources to do the personalized approach, I don't  
9 think.

10          DR. REGEIMBAL: Well, fair enough. I  
11 understand the argument. And, like I said, I meant to  
12 be a little bit provocative. I obviously am not ready  
13 to down-select anything. I just wanted to be a  
14 champion -- or not a champion, that's the wrong word,  
15 an advocate for this kind of idea because I think it's  
16 seen as the sort of weird fringe in a world of weird  
17 fringe.

18          AUDIENCE MEMBER: They're all weird, but  
19 everywhere you get a Sith, you get a Jedi.

20          DR. REGEIMBAL: Fair enough.

21          AUDIENCE MEMBER: I have two -- one question  
22 and one point to make. So it sounds like the Navy may  
23 take care of *Acinetobacter baumannii*, and we won't --  
24 I mean, look, this is a governmental intervention at  
25 that point. So if the Army would do one, and the

1 Marine Corps would do one, Air Force would do one, and  
2 the Coast Guard would do one, we'd have six of the  
3 ESKAPE pathogens and there would be no  
4 commercialization, it would be provided by the  
5 military, and I think that's a great idea.

6 Secondly, there is a problem with the -- I  
7 mean I -- and we did it under the time pressure and so  
8 did -- and that is growing your therapeutic cocktail  
9 on the pathogen itself. We have seen in multiple  
10 cases if you infect a bacterial strain, you will  
11 induce prophages.

12 DR. REGEIMBAL: Yeah.

13 AUDIENCE MEMBER: So that's one of the  
14 problems there's going to be. Of course, in an eIND  
15 situation, that's a risk you just take, right? But  
16 trying to put it, when -- to non-eIND situations, I  
17 think you would have to make sure that the pathogen is  
18 not going to induce prophages carrying the very toxins  
19 that made the patient sick already.

20 DR. REGEIMBAL: Well I would ask this  
21 question. If you're going to use phages, in general,  
22 in a person, whether you grow that phage in the target  
23 strain of interest --

24 AUDIENCE MEMBER: A numbers game. I mean  
25 you're --

1 DR. REGEIMBAL: I understand that. But  
2 you're going to get burst events downstream within the  
3 human, and so whether that happens five minutes before  
4 or five minutes after you push it into the IV -- I'm  
5 not sure I understand the --

6 AUDIENCE MEMBER: In the liter culture or  
7 three-liter culture you're growing, you're going to  
8 have a lot -- an awful lot of those phages, and they  
9 can -- phages can lysogenize way beyond the domains  
10 where they can make plaques or grow virulently. Just  
11 something to be concerned about. Because we've seen  
12 phages become -- one percent of the total phage  
13 population is induced prophages when you're super-  
14 infecting with a virulent --

15 DR. REGEIMBAL: Yeah. And that's why I  
16 would also just add the additional caveat that if I --  
17 if you were to do that, you could never use those  
18 phages in anybody else. It would be a one-off. Those  
19 phages that grown on that target pathogen would only  
20 go back into that person in an attempt to limit those  
21 kinds of outlying events, or side events.

22 DR. TURNER: That was an intriguing talk. I  
23 guess the comment, in defense of evolutionary biology,  
24 is that I don't think any species wants to go extinct,  
25 but the vast majority of them have in the history of

1 the planet. A phage doesn't want to eliminate its  
2 dinner, it just doesn't mean it won't happen.

3 So I guess I just want to make sure the  
4 audience understands that, you know, humans drove  
5 smallpox virus into extinction, and it certainly  
6 wasn't in the interest of that virus, variola virus,  
7 to have that outcome, okay? But let's just put that  
8 comment aside.

9 It was intriguing what you said about, you  
10 know, if you do groom the phage on the patient strain  
11 you may get adaptation that is specific to it. I  
12 agree with that. But another core principle in  
13 evolutionary biology is correlated response to  
14 selection.

15 You could just as easily groom it on that,  
16 and it's actually very good on other strains as well.  
17 Because that explains how this gets into humans very  
18 readily, you know. It was not groomed on humans. So  
19 I think it's an open question --

20 DR. REGEIMBAL: Absolutely.

21 DR. TURNER: And that bears more research.

22 DR. REGEIMBAL: Absolutely. So, in my  
23 opinion -- well, again, this is Jimmy talking, this  
24 isn't Lt. Reigembal. There's a lot of work that has  
25 to be done to bring this kind of product to the next

1 step. I mean we would have to show that -- whether  
2 manufacturing on the host versus a manufacturing  
3 strain would actually make a difference. It might be  
4 that you get clinical efficacy without the need to  
5 doing that.

6 But what I'm saying is that what everyone  
7 needs to realize is that regardless of your modality,  
8 though, you are smashing two populations together, and  
9 those population dynamics really matter. Most people  
10 just talk about -- well I don't want to -- it's a  
11 gross characterization.

12 But frequently what you hear about is lytic  
13 spectrum, and host range, and that kind of stuff, but,  
14 really, you're going to -- all of molecular biology is  
15 selecting for the rare event. That's like all you  
16 ever do. That rare event can happen weirdly at any  
17 time if you're mixing any kind of 10 to the eleventh  
18 population with a local population that's in equal  
19 numbers.

20 So my goal was to bring some of those kinds  
21 of ar, or those kinds of issues to the table. But,  
22 no, I don't think that tomorrow I necessar -- well it  
23 depends on how sick I was because I saw it work. But  
24 I think there's a lot of work that still needs to be  
25 done in this space of personalized therapeutics.

1 DR. TURNER: Yeah. I didn't want to sound  
2 hypercritical because I think you're raising a lot of  
3 interesting questions that need to be studied.

4 DR. REGEIMBAL: Yep.

5 AUDIENCE MEMBER: I like your idea to be  
6 able to bank for the whole world. I think it sounded  
7 like a very big task.

8 DR. REGEIMBAL: Yeah. Yes, it is. That's  
9 why I might not get funded. But I try.

10 AUDIENCE MEMBER: The clinically relevant  
11 bacteria are changing, so, from your experience, how  
12 often you have to monitor to ensure you're current?

13 DR. REGEIMBAL: I mean that's an open  
14 question because what does it mean to monitor? Are  
15 you monitoring only *in vivo*? Sorry. Are you  
16 monitoring only *in vitro*, or you're monitoring *in vivo*  
17 using some sort of animal model?

18 AUDIENCE MEMBER: If you built a bank that  
19 either covered the whole world, you have to ensure for  
20 each country all the clinical-relevant bacteria is  
21 covered --

22 DR. REGEIMBAL: Yeah, but -- yeah, and  
23 that's -- obviously it's a -- but that's a problem of  
24 scale, it's not a problem of techno -- if you have  
25 engineering solutions, if you have other kinds of

1 solutions -- I mean that's a -- it seems to me like  
2 even though it's big, it's not difficult. It's not  
3 easy, but it's not difficult. It's just you have to  
4 get larger in scale.

5 AUDIENCE MEMBER: And I'm interested to know  
6 how far you been on that road now.

7 DR. REGEIMBAL: In terms of trying to build  
8 a large library? So we have -- right now I have med  
9 students from Penn State in Peru harvesting phages for  
10 me in some of the worst places you would ever want to  
11 go. We have gone into Honduras, we've gone into a lot  
12 of -- basically in Central and South America we have  
13 lots of sites that we're now going to.

14 I'm trying to go international over into  
15 southeast Asia, as well as Africa. We have -- the  
16 military has infrastructure there, both Navy and Army  
17 labs. But the problem now is funding. It's not even  
18 willing partners. There's people with those labs  
19 ready to go. They want to be involved in this effort.

20 I think it's an effort that if we build a  
21 diverse enough library, it will -- it might be great  
22 source material for people who think that a  
23 therapeutic phage cocktail that's fixed could be the  
24 best modality to go with. I might have a whole bunch  
25 of interesting phages you might want to try.

1           But we're just -- really, it's limited now  
2           by funding. I'm just waiting to see if that happens.

3           AUDIENCE MEMBER: Okay. Last one is can  
4           your bacteria or your -- or the information about  
5           these bacteria be shared?

6           DR. REGEIMBAL: I don't know the answer --  
7           which bacteria? The phage or the --

8           AUDIENCE MEMBER: What you got in your bank.  
9           Can that --

10          DR. REGEIMBAL: I'm not sure about that. I  
11          can't speak to that because I'm not sure if it can be  
12          shared outside the DoD or with our partners. I don't  
13          want to say the wrong answer. I have to ask nine  
14          layers of people before I can almost make any  
15          decision, so, but I can figure --

16          AUDIENCE MEMBER: I shouldn't ask here.  
17          I'll ask --

18          DR. REGEIMBAL: Yeah. Yes, sir.

19          AUDIENCE MEMBER: First of all, it's  
20          incredibly exciting to see the world having gone from  
21          basically two phages being looked at in some detail to  
22          people all over the world doing this kind of enormous  
23          amount, and I want to say that's incredible.

24                 How can one get, for example, students  
25          various places involved in doing things that could be

1 helpful and other people involved? What suggestions  
2 do you have in ways like the Phage Hunters program,  
3 but going to ones that are perhaps more broadly  
4 useful?

5 DR. REGEIMBAL: I mean I don't know that we  
6 have -- like, so the military, to my knowledge, does  
7 not have a common repository in that way, and I'm not  
8 even sure that you would want the military to be that  
9 kind of repository.

10 AUDIENCE MEMBER: I'm not saying necessarily  
11 the military, but for guidance, just encouragement.

12 DR. REGEIMBAL: Oh, I mean I guess word of  
13 mouth at this point is the only place I know to go. I  
14 mean the students that came down to work with us, they  
15 were planning on working on something else, a clinical  
16 study, and I just said, well you could do this idea,  
17 and all of them wanted to do it because they all saw,  
18 hey, this is unique, it's getting out into the lab,  
19 but it's also getting out into the -- to doing some of  
20 the more grimy field stuff. I mean really grimy field  
21 stuff.

22 So it appealed to them on that level. It's  
23 a way of doing tropical medicine and mixing it with  
24 sort of a laboratory setting. So advertising it, I  
25 guess. I have no other answer for that.

1           AUDIENCE MEMBER: Have you published  
2 anything about how you're doing that that one could  
3 get their hands on?

4           DR. REGEIMBAL: No, ma'am. No. We're still  
5 in our stages of -- like, so all my phages are sitting  
6 in a freezer in a fridge in Peru, waiting to figure  
7 out the correct export for that.

8           DR. RANALLO: Okay. So we're at the end of  
9 our presentations. I have a couple of announcements.  
10 One, the organizers would like me to at least  
11 investigate the possibility of making the  
12 presentations that we've heard over the past two days  
13 publicly available, so I'd ask speakers who are still  
14 present to reach out to one of the organizers. I'll  
15 just mention them by name: Roger Plaut, Scott  
16 Stibitz, Paul Carlson, and Randy Kincaid. Those are  
17 the only, at least off the top of my head.

18           So, as I said, I'd like to ask the  
19 organizers, or the speakers to consider that with the,  
20 you know, with the possibility of perhaps doing some  
21 small redaction.

22           And then the last thing is, again, we're  
23 ready for our panel here. We have until 3:00, and I  
24 don't have on my agenda that there's a break, so we're  
25 going to just transition really quickly into a panel.

1 This panel is with our speakers today, as well as with  
2 Scott Stibitz from CBER. So we're going to get that  
3 going so stick around, please. We'll only be a few  
4 minutes getting speakers up here.

5 (Pause.)

6 DR. RANALLO: Just also to round the bases,  
7 I've been up here all day, I'm fairly exhausted, but I  
8 can tell you that there are a few areas that we heard  
9 today that I'd like the panel to opine on and perhaps  
10 address specific questions from the audience.

11 So we heard, you know, we heard talks on  
12 novel uses and future uses, so specifically looking at  
13 prophylactic or preventative use of phage. I think  
14 that, to me, is very intriguing, and an area that we  
15 have not discussed in terms of -- we haven't covered  
16 that.

17 Another is in terms of phage engineering and  
18 looking at how we can serve to, you know, genetically  
19 modify phage to make them more useful or to have them  
20 as tools to study bacterial populations.

21 So, with that, I don't have any specific  
22 questions for the panel. I certainly think, like I  
23 said, I would like -- maybe I could start off and,  
24 Andy, maybe press upon you a little bit, again,  
25 thinking about cholera phages and just trying to

1 understand how in a high event situation such as  
2 household transmission of cholera one could, I won't  
3 say run a clinical trial, but at least, you know,  
4 conceptualize how that might be done.

5 DR. CAMILLI: Yeah. I mean, you know, in  
6 Bangladesh and in India there's two outbreaks per  
7 year. Pretty reproducible. During those outbreaks  
8 there's certain places, like the icddr,b hospital in  
9 Dhaka gets thousands of patients a day.

10 So they've run a number of household studies  
11 over the years, various investigators, various groups,  
12 and so the mechanism's there to do this, where they  
13 would -- they could incorporate into a household study  
14 where they go and teach them about transmission, and  
15 cleanliness, and chlorination of water, et cetera.

16 They could do a trial with a small number of  
17 households where they -- the household contacts take  
18 the phage cocktail. That's the ideal field trial. We  
19 need to get the money to do that. We have the product  
20 ready. And then you would look. This high rate of  
21 transmission, 23 percent, is a easy target. Do you  
22 lower that or do you not?

23 DR. RANALLO: Is there a role in a -- and I  
24 don't know the status or -- in a human challenge model  
25 or something like that, or -- and I think I mentioned

1 this perhaps earlier, just the idea of an attenuated  
2 strain just to look at the dynamics of how this would  
3 occur and what the rate of excretion would be?

4 Because I think you mentioned that there was  
5 a tenfold -- two log increase in transmission rates.  
6 Can that be predicted by the excretion of a rice water  
7 stool in a clinical setting? Is that a first step or  
8 is that --

9 DR. CAMILLI: Well with animals you can  
10 mimic this transmission. You know, with the infant  
11 rabbit model, they will transmit it either naturally,  
12 just have the baby rabbits together, or you can take  
13 some of the stool and transmit it so that you can  
14 easily model that in the laboratory.

15 In households it's not clear how the cholera  
16 is being transmitted within the household. I mean you  
17 can imagine somebody comes down with cholera and it  
18 gets all over the place, maybe it gets in the water  
19 that they're drinking, but nobody really knows.  
20 Nobody's looked at that yet.

21 AUDIENCE MEMBER: Maybe just an idea for  
22 these -- the people in this room. Based on the things  
23 we have had to do all with the individual case  
24 treatment, we have been discussing that with AmpliPhi  
25 Bio Science already, and I wonder if would not be

1 possible to set up some kind of a database with a  
2 standard format, simple format, of all the patient  
3 cases that we are starting to treat to try to  
4 harmonize the information on these patients treating  
5 with phages in a way to provide more information and  
6 to get that through the -- information available to  
7 the regulatory authorities in USA and in Europe.

8 DR. STIBITZ: I think that's very hard to  
9 do. You have to ask the question of who's going to  
10 fund it. I think it's -- you're talking about, for  
11 example, a database entry for each case where phage  
12 therapy has been attempted --

13 AUDIENCE MEMBER: Yeah.

14 DR. STIBITZ: -- with certain minimal  
15 details. I mean I think that the FDA lacks the  
16 regulatory authority to do that unless it were under  
17 an IND. I think it's something that a group of  
18 concerned scientists and/or citizens could organize,  
19 and I think there would be great value in the sense  
20 that it would capture the denominator.

21 Currently, we hear about the successes, and  
22 I think Dr. Gorski's presentation I think was  
23 certainly an eye-opener for me for somebody who's  
24 really, you know, kept the records so that we are  
25 getting a -- estimates for the efficacy of phage

1 therapy at least in one modality. He's standing right  
2 behind you, so he might want to respond.

3 DR. GORSKI: -- would be to reduce the dose  
4 patient within clinicaltrials.gov, even though  
5 clinicaltrails.gov is restricted for clinical trials.  
6 For example, we did so. We did not update the  
7 information -- I'm sorry about it -- but our, not  
8 trial, but our experimental therapy is registered  
9 within clinicaltrials.gov.

10 I don't know if it's legally possible.  
11 That's another question. But if it is, why not?

12 DR. STIBITZ: Right. I think I'm on pretty  
13 safe ground saying, and I can look for nods from my  
14 colleagues, but I don't think that's something that  
15 the FDA could mandate or be that instrumental in  
16 doing. I'm not seeing nods, so maybe they'd like to  
17 respond.

18 DR. TYNER: So I have something just briefly  
19 to add perhaps for consideration is I like the idea of  
20 having a database. I think the important part of a  
21 database is some level of harmonization of the data  
22 you collect.

23 Previous life I was a malaria researcher,  
24 and the malaria community realized when it did meta  
25 analysis that they couldn't compare one study to the

1 next, and so maybe it's incumbent upon some folks,  
2 perhaps in this room, to sit down and talk about what  
3 it would be -- what are the things we would want to  
4 collect and at what time points, et cetera.

5 I realize it's not a clinical study, but if  
6 there was some level of agreement, consensus on the  
7 information that you collect, it sure does make it a  
8 lot easier to compare as you're beginning to put all  
9 these different eIND cases which are disparate enough  
10 and different enough that they're hard to compare in  
11 the first place.

12 DR. STIBITZ: Before I let Jay ask the next  
13 question I just wanted to add there's -- it seems to  
14 me in this scenario there would be a very strong  
15 incentive to report positive data and a very weak  
16 incentive to report negative data.

17 AUDIENCE MEMBER: Hi. So I want to ask you  
18 to focus for a minute on a different area of anxiety.  
19 Not so much efficacy, but safety, perhaps in a more  
20 global perspective. A number of you touched on it,  
21 but I guess I didn't feel like I really got a fully  
22 developed response, especially from the phage  
23 engineering folks.

24 Certainly in Dr. Duerkop's discussion he  
25 mentioned the great anxiety that the dairy industry

1 has about phage gone wild, and that's a major concern  
2 in that universe of the dairy industry and  
3 fermentations that you don't want to get extinguished.

4 I don't think anybody's talking about a  
5 phage that gets out in the world and destroys every  
6 gram-negative on Earth and unleashes other horrible  
7 problems, but certainly in our microcosms that we work  
8 with, not so much patients, but maybe hospitals and  
9 other little microorganism universes, can you imagine  
10 any adverse effects in those universes that you should  
11 worry about, especially with an engineered product,  
12 not necessarily a product that's been co-evolving with  
13 these organisms for hundreds of millions of years.

14 Just fantasize about your worst nightmare  
15 and then we can -- then we could just -- after you  
16 verbalize it, we could just sleep better. How about  
17 that?

18 DR. LU: Right. I mean I could write a  
19 Hollywood script on it. I mean I guess it's the same  
20 question as asking, you know, any genetically-modified  
21 organism: can you accurately assess every possibility  
22 that could happen, right?

23 So I think we have the same debate over GM  
24 foods or, you know, oncolytic viruses that are  
25 engineered in a variety of different ways. So I'm not

1 sure that I can *a priori* predict to you all the bad  
2 things that can happen with engineering. I think we  
3 can probably take off things that we might expect to  
4 look for, right?

5 So we don't want to be able to transduce  
6 genes between organisms at a greater rate than we  
7 might naturally be able to do that. We might want to  
8 test that our engineered phage, as a well-defined  
9 spectrum, it doesn't hit -- won't go commensal, or  
10 good bacteria or bacteria that we're worried about in  
11 the dairy industry, for example.

12 I think if the requirement's going to be  
13 that we have to take an engineered phage to a standard  
14 where I can prove to you with a hundred percent  
15 certainty that there is zero risk, I think that it's  
16 sort of an impossible bar for an engineered construct  
17 across.

18 AUDIENCE MEMBER: No, no, no, no. But  
19 somebody's going to ask you to do an environmental  
20 impact assessment for sure of some sort, and I think  
21 -- I just think the conversation is worth having. I  
22 think it's worth having among scientists rather than  
23 in the --

24 DR. LU: Yeah. So I think doing an impact  
25 assessment of spectrum and transducing capability of

1 an engineered phage versus its natural counterpart  
2 could be worthwhile to do. I'm not personally  
3 concerned that engineered phage would be any worse in  
4 that particular context, but I think -- I mean I --  
5 certainly we can define assays that we can all agree  
6 make sense, but I don't want that to necessarily turn  
7 into like GM is necessarily bad.

8 I think it's always a risk/benefit trade-off  
9 of, you know, sometimes it makes sense to do it, in  
10 other cases the natural phages make a lot of sense.  
11 If you can get great efficacy with the, "natural  
12 phages," that have been, frankly, evolving for a very,  
13 very long time, then why not go ahead with that?

14 AUDIENCE MEMBER: Just to add a little bit  
15 to that, so our center is collaborating with a large  
16 pharmaceutical company to generate phages to treat  
17 Pierce's disease which is destroying the wine industry  
18 in California, and there's no way we'll ever be able  
19 to use engineered phages.

20 The California EPA has already said never,  
21 ever, ever, and then the -- and our EP -- the U.S. EPA  
22 doesn't seem to be quite as negative, but the  
23 California EPA says no way.

24 DR. LU: Yeah. So I think certainly  
25 environmental applications is probably a very, very

1 difficult way of using engineered phages. I think if  
2 we're talking about serious human disease, then I  
3 think the bar is probably different.

4 DR. RANALLO: So, yeah. One of the things  
5 that I've been thinking about over the last -- this --  
6 today here is this idea of phage cocktail and the  
7 utility of phage cocktails for dealing with resistance  
8 generation. Do you think that there's a specific  
9 engineered solution to that in terms of engineering  
10 phage to not - I guess my question is a phage cocktail  
11 almost always going to be the solution, or is - do you  
12 feel that there's an approach that -

13 DR. LU: Yeah, I think the engineer - I  
14 think the phage cocktails make a lot of sense  
15 currently, given the data. I think we have some stuff  
16 in the works that shows that you can integrate certain  
17 properties into single phages that are quite  
18 interesting.

19 So I don't want to say too much about that  
20 right now, but I think there is the possibility of  
21 integrating multiple properties into a single phage,  
22 and -- but I think, you know, the cocktail approach  
23 seems to do pretty well in most cases, so I'm not  
24 saying that that should be thrown out the window.

25 DR. RANALLO: And -- sorry. Yes?

1 DR. BISWAS: So I just like to mention one  
2 thing. Also I prefer natural phages, as engineered  
3 phages are great to attack stationary phase bacteria.  
4 Because stationary phase bacteria, to kill them with  
5 natural phage is very difficult sometimes. So  
6 something to deliver lethal gene or something in those  
7 bacteria using engineered phage is a very good idea.

8 DR. RANALLO: Yeah. And I guess that's my  
9 question for developers, in general. You know, we  
10 heard Scott say yesterday basically on this topic is  
11 that engineering is not bad, it's just you have to  
12 explain why and prov -- and likely incumbent upon the  
13 developer to develop assays, or at least to have some  
14 way to address the issues at least that are brought  
15 up, or that, you know, that -- I'm sorry.

16 DR. LU: Yeah. No, I agree. I mean I think  
17 we have to justify why we're doing it and -- in some  
18 sense certainly to the regulators, but also to our own  
19 time. Like why am I spending all this time doing it?  
20 So I think there are certain properties that we would  
21 need to be able to demonstrate why the engineered  
22 phages make sense.

23 I think the other thing, to address sort of  
24 some of the comments brought up earlier, I think one  
25 of the reasons to go to an engineered construct is if

1 you can perhaps remove the replicative ability of  
2 these phages and sort of simply use them as delivery.  
3 I think that's an alternative way we've been thinking  
4 about sort of hopefully addressing some of these  
5 concerns about sort of freely replicating genetically-  
6 modified mutant viruses.

7 DR. RANALLO: Okay.

8 AUDIENCE MEMBER: Sorry. Real quick. I  
9 don't think it's ironic that you two are sitting right  
10 next to each other. I think when you talk about  
11 diagnostics and you talk about personalized medicine,  
12 can you just kind of touch quickly on like the time-  
13 sensitivity of having to deliver these therapeutics  
14 pretty rapidly and how important like the complement  
15 of each other are.

16 DR. BISWAS: So your question is how we can  
17 mark this diagnostic approach with personalized phage  
18 cocktail, right?

19 AUDIENCE MEMBER: Yeah. So like say you  
20 need to identify what the pathogen is pretty rapidly,  
21 and then you need to come up with a cocktail just as  
22 rapidly. I guess what stage of -- you know, is it in  
23 hours, or is it in days, or is it in weeks right now,  
24 and at what point do you think that --

25 DR. BISWAS: So currently, the systems which

1 we developed, we can figure out within six hours,  
2 almost, that bacteria is going to be infected with  
3 those particular group of phage. If you don't know  
4 the bacteria, what is the bacteria if you have a group  
5 of phage, you can use them to find out that -- what is  
6 that bacteria is -- actually.

7 Not only that, you can also study the  
8 antibiotic-resistance pattern of the bacteria in  
9 presence of phage because you can use the antibiotic,  
10 you know, in the bacteria, and then let them grow, and  
11 then use phage to see that they are affected or not.

12 The bacteria inhibit the -- if the  
13 antibiotic inhibit the bacterial growth, then you will  
14 not see the signal. The phage will not multiply, and  
15 they will not produce any signal. So you can do all  
16 these things in one single run, actually.

17 AUDIENCE MEMBER: And on the personalized  
18 medicine side, how quick do you think that we can kind  
19 of get cocktails together that can be effective to  
20 treat --

21 DR. BISWAS: Oh, okay. So last time when we  
22 prepared these we need three days. Three to four  
23 days. Three and a half days, almost. People took  
24 much more time to transfer the phages. But in real-  
25 time scenario, if we have previously prepared phage

1 already, and cesium chloride and LPS, you know,  
2 removed phage, then we can use it right away.

3 But if we are looking at, like Colonel  
4 Regeimbal mentioned, that we need to grow it into the  
5 patient's bacteria, then we -- it takes little bit  
6 longer time. So that -- in that case, probably three  
7 and a half days will be fine.

8 But its depends. If we are -- and the  
9 people working the night shift, we can do it within  
10 two days.

11 AUDIENCE MEMBER: Since we're being  
12 controversial this afternoon, I'll just pick up on one  
13 of Tim's comments. Removing the ability to replicate.  
14 When you use a therapeutic dose of penicillin you use  
15 about 10 to the 19 molecules. Now, penicillin isn't a  
16 single hit kill, and phage can be. I appreciate that.

17 But equally, penicillin, 350 daltons, gets  
18 through things easily. Phage, pick a dalton range,  
19 doesn't. So maybe those two balance out. So you --  
20 maybe you need to use the same number.

21 If they can replicate, they can produce what  
22 they need, but if they can't replicate, okay, great,  
23 if you're putting it onto a situation where you can  
24 see the infection. You can dump on the amount of non-  
25 replicating phage you need.

1           But if you're relying on it passing through  
2 wax, or body surfaces, or mucus, or whatever, I've  
3 done a few mathematical calculations on this, and I  
4 know Steve Abedon disagrees with me, but I come up  
5 with a dosing level, depending on the size of the  
6 phage, of somewhere between 400 and 1,000 kilograms.

7           So is not removing the ability to replicate  
8 going to be slightly problematic in some situations?

9           DR. BISWAS: Definitely.

10          DR. STIBITZ: Well I think I can get partly  
11 there. I mean ampicillin doesn't kill with a single  
12 molecule per cell.

13          DR. RANALLO: Okay. So in -- with regard to  
14 finishing on time, what I wanted to do is maybe --  
15 unless we have any other questions for the panel?

16          (No response.)

17          DR. RANALLO: Seems like we got them all out  
18 during the day. So I'd like to, you know, thank the  
19 -- well I'd like to thank everybody who came up for  
20 the panel, and I'd like to thank all our speakers  
21 today. I think it was a wonderful day. Then we're  
22 going to conclude with Dr. Mike Kurilla who opened the  
23 meeting yesterday with some concluding remarks. So  
24 thank you, panel members, thank you, speakers.

25          Mike, you're up for concluding remarks.

1 DR. KURILLA: Well we've come to the end and  
2 -- of the workshop. I hope that the comments I've  
3 received over the last two days from staff and from  
4 individual participants are representative.

5 I'm always encouraged by the sort of crude  
6 marker I use that at the end of the meeting, if the  
7 density of occupancy of seats is similar to what it  
8 opened with, that obviously a lot of people have found  
9 a lot of useful things to stick around.

10 I have to say, personally, you know, this is  
11 now our second phage workshop, and I can tell you  
12 that, having been an undergraduate student back in the  
13 late '70s and actually had the honor of meeting Max  
14 Delbruck who introduced, I think, molecular biology to  
15 the world by studying phages, I never anticipated in a  
16 medical career that this would be something that would  
17 be realistically considered, and the amount of  
18 interest and focus that is being applied is very  
19 heartening.

20 So Dr. Marks from the FDA opened the  
21 workshop, and he noticed -- he remarked on the  
22 importance of history, phages being a little over a  
23 hundred years old, but that, with a little bit of  
24 effort, you know, they could be an example of the old  
25 becoming the new new, and I think that's been clearly

1 evident in what's gone on.

2 For that new new it's going to require a  
3 continuous input, both in terms of guiding developers  
4 on the current and evolving regulatory perspectives,  
5 which was a major focus on what we discussed here for  
6 the last two days, as well as encouraging continued  
7 investment in the scientific foundations that are  
8 needed to fill the gaps of knowledge, as well as to  
9 identify new, and potentially exciting opportunities  
10 that phages can offer us.

11 So the first and second sessions of the  
12 workshop focused on the clinical use of phages and  
13 regulatory perspective and, really, in terms of their  
14 applications to what we're seeing as probably the --  
15 one of the most critical in developing unmet medical  
16 needs, that is, antibiotic resistance.

17 While antibiotics may be considered one of  
18 the real gems in terms of 20th-century medicine, the  
19 21st century may see a much more limited utilization  
20 because we know that they are so easily overused.

21 In addressing these unmet needs we can't  
22 underestimate that phages clearly offer us,  
23 potentially, a new solution set, but it's still going  
24 to require quite a bit of effort in order to establish  
25 that regulatory guidance that defines product

1 expectations, as well as the types of clinical studies  
2 and trials that are going to be needed in order to  
3 achieve regulatory approval.

4 The third session gave us a forecast of  
5 future possibilities and emphasized the value of  
6 incorporating lots of different perspectives in terms  
7 of looking at phages, and we're beginning to  
8 appreciate the understanding of co-evolutionary  
9 relationships and trade-offs that impact bacterial  
10 resistance and are likely to inform strategies for  
11 future development of phages.

12 We can also appreciate the newly-realized  
13 powers of genomics, bioinformatics, synthetic biology  
14 that will shed new light on phage evolution and  
15 prospects for useful and clinically-relevant  
16 modifications that will be desirable.

17 We saw some of the perspectives drawn from  
18 military medicine and ongoing challenges seen due to  
19 injury and exposure to initially unique, but becoming  
20 more commonplace infectious agents.

21 So these are just examples of the kind of  
22 cross-fertilization that these types of conferences  
23 afford us going forward, as well as just the overall  
24 value of communication with different communities, all  
25 with the goal of treating and preventing infectious

1 disease.

2           So, on my part, we and my FDA colleagues  
3 would like to thank all of you for making this a  
4 successful conference. We really want to encourage  
5 everyone to continue the communication, momentum, and  
6 collaboration that is building towards developing  
7 phage-based solutions for the future. Thank you very  
8 much.

9           (Whereupon, at 2:55 p.m., the meeting in the  
10 above-entitled matter was concluded.)

11 //

12 //

13 //

14 //

15 //

16 //

17 //

18 //

19 //

20 //

21 //

22 //

23 //

24 //

25 //

REPORTER'S CERTIFICATE

DOCKET NO.: N/A  
TITLE: Bacteriophage Therapy: Scientific  
& Regulatory Issues Public Workshop  
HEARING DATE: July 11, 2017  
LOCATION: Rockville, Maryland

I hereby certify that the proceedings and evidence are contained fully and accurately on the digital recording and notes reported by me at the hearing in the above case before the Department of Health and Human Services, United States Food and Drug Administration.

Date: July 11, 2017

**/s/**

David W. Jones  
Official Reporter  
Heritage Reporting Corporation  
Suite 206  
1220 L Street, N.W.  
Washington, D.C. 20005-4018