

Food and Drug Administration
Center for Biologics Evaluation and Research (CBR)

Cellular, Tissue and Gene Therapies

Advisory Committee (CTGTAC)

September 7, 2016

OPEN SESSION

FDA White Oak Conference Center
Great Room, Salon B
Silver Spring, Maryland

Table of Contents

Presentation	Page
Welcome and Call to Order, Roll Call, and Introduction of Members	1
Conflict of Interest Statement	1
Overview of CBER Research Programs	6
Overview of Office of Cellular, Tissue, and Gene Therapies (OCTGT) Research Programs	19
Summary of Gene Transfer and Immunogenicity Branch (GTIB) Research Programs	33
Open Public Hearing	51

PROCEEDINGS

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25
26

**Agenda Item: Welcome, Call to Order and Roll
Call, Timothy Cripe, MD, PhD, Chair**

DR. CRIPE: I think we are still missing Dr. Byrne, but as long as you are okay with us proceeding at not the full complement of the committee.

DR. KIM: Yes.

DR. CRIPE: Hopefully he will dial in. So again, just to make sure everyone is on the right airplane, this is the CBER Cellular Tissue Gene Therapy Advisory Committee meeting teleconference number 66.

So I would like to welcome everybody and call the meeting to order. The goal of this meeting is to discuss the research programs in the Office of Cellular Tissue and Gene Therapies and the Gene Transfer and Immunogenicity Branch specifically, regarding a site visit we had earlier this year.

Before we get into the presentations that give us an overview of that office and the branch, our designated federal officer, which is Janie Kim, will make administrative remarks, read a conflict of interest statement, and then she will also take a roll call. Go ahead, Janie.

**Agenda Item: Conflict of Interest Statement,
Janie Kim, Pharm.D., Designated Federal Officer**

1 DR. KIM: Thanks, Dr. Cripe.

2 Good morning, everyone. My name is Janie Kim. I'm
3 the designated federal officer for this committee for
4 today's meeting of the Cellular Tissue and Gene Therapies
5 Advisory Committee meeting. Ms. Denise Royster is the
6 committee management specialist, and we also have Joanne
7 Lipkind, also committee management specialist, who will be
8 assisting her today.

9 On behalf of the FDA and the Center for Biologics
10 Evaluation and Research and the Office of Cellular Tissue
11 and Gene Therapy, we would like to welcome everyone to this
12 meeting. Members are participating today via the phone and
13 also online. They can view what's going on in the room
14 today, which is being telecast via the web.

15 Today's meeting will begin with a session that is
16 open to the public, followed by an open public hearing
17 session, both of which will be webcast live on the
18 internet. If there are no comments from the public, the
19 meeting will go to a closed session that will not be
20 webcast. For the closed session, FDA staff being evaluated
21 will leave the room and industry representative Dr. Dale
22 Ando will also leave the call.

23 For those on the phone, please remember to
24 identify yourself before you speak and to mute your phone
25 when you are not speaking to minimize any background

1 noises. Participants in the room also are requested to
2 state their name and to speak clearly and loudly into the
3 microphone so that the transcriber and attendees on the
4 phone are able to hear their comments.

5 We also caution everybody in the room today
6 against discussing personnel actions or any other
7 confidential information in this public forum. We would
8 also like to remind everyone to please silence your
9 cellphones and other devices.

10 I will now take roll and read the conflict of
11 interest meeting statement.

12 So Dr. Cripe, if you could announce yourself and
13 your professional affiliation, where you work currently.

14 DR. CRIPE: Tim Cripe. I am chief of heme/onc at
15 Nationwide Children's Hospital, affiliated with Ohio State
16 University in Columbus, Ohio.

17 DR. KIM: Dr. Ando?

18 DR. ANDO: Yes, this is Dale Ando. I'm the chief
19 medical officer of Sangamo Biosciences in the Bay Area in
20 California.

21 DR. KIM: Dr. Bartlett?

22 DR. BARTLETT: Dave Bartlett. I'm a surgical
23 oncologist at the University of Pittsburgh.

24 DR. KIM: Dr. Byrnes? Still not on the phone.

25 DR. BYRNES: (No response.)

1 DR. KIM: Dr. Bollard?

2 DR. BOLLARD: Yes, it's Cath Bollard. I'm the
3 division chief of allergy and immunology at Children's
4 National and the George Washington University in
5 Washington, D.C.

6 DR. KIM: Dr. Bugbee?

7 DR. BUGBEE: Hi, William Bugbee, I am at Scripps
8 Clinic in La Jolla, California, chief of joint
9 reconstruction and cartilage transplantation.

10 DR. KIM: Dr. Pluhar?

11 DR. PLUHAR: Hi, I'm Liz Pluhar. I am a professor
12 at the College of Veterinary Medicine at the University of
13 Minnesota and Minneapolis, Minnesota, and I'm director of
14 the Canine Brain Tumor Clinical Trials Program here.

15 DR. KIM: Dr. Rose?

16 DR. ROSE: I'm Stephen Rose. I'm the chief
17 research officer at the Foundation Fighting Blindness in
18 Columbia, Maryland.

19 DR. KIM: Dr. Stegman?

20 DR. STEGMAN: This is John Stegman. I am a
21 professor of biomedical engineering at the University of
22 Michigan in Ann Arbor.

23 DR. KIM: Dr. Wittes?

24 DR. WITTES: This is Janet Wittes. I am the
25 president of Statistics Collaborative in D.C., and I am a

1 statistician.

2 DR. KIM: And Dr. Zovein?

3 DR. ZOVEIN: Hi, this is Dr. Zovein. I am a
4 physician scientist, professor at Cardiovascular Research
5 Institute and also appointed in neonatology and pediatrics.

6 DR. KIM: Thank you. Now I will read the conflict
7 of interest meeting statement.

8 The Food and Drug Administration, FDA, is
9 convening today's meeting of the Cellular Tissue and Gene
10 Therapies Advisory Committee under the authority of the
11 Federal Advisory Committee Act of 1972. With the exception
12 of the industry representative, all members and temporary
13 voting members are special government employees or regular
14 federal employees from other agencies and are subject to
15 the federal conflict of interest laws and regulations.

16 The following information on the status of
17 today's compliance with the federal ethics and conflict of
18 interest laws covered by but not limited to 18 USC Section
19 208, is provided to participants in today's meeting and to
20 the public. FDA has determined that members and temporary
21 voting members of this committee are in compliance with all
22 federal ethics and conflict of interest laws and
23 regulations. This open session's agenda includes an
24 overview of the research programs in the Gene Transfer and
25 Immunogenicity Branch of the Division of Cellular and Gene

1 Therapies in the Office of Cellular Tissue and Gene
2 Therapies at the Center for Biologics Evaluation and
3 Research.

4 This overview is a non-particular matter and
5 based on the agenda, it has been determined that the
6 overview presents no actual or appearance of conflict of
7 interest. In closed session, the committee will review and
8 discuss the draft site visit report from the site visit
9 concluded on May 19, 2016.

10 Dr. Dale Ando is serving as the industry
11 representative, acting on behalf of all related industry.
12 He is employed by Sangamo Biosciences. Industry
13 representatives are not special government employees and do
14 not vote. The conflict of interest statement will be
15 available for review at the registration table.

16 This concludes the meeting statement.

17 Dr. Cripe, I now hand over the meeting to you so
18 that you can introduce the next speaker.

19 DR. CRIPE: Thank you, Janie. Our first speaker is
20 Carolyn Wilson, PhD, associate director for research from
21 CBER.

22 So Carolyn, do you want to take over, please?

23 **Agenda Item: Overview of CBER Research Program,**
24 **Carolyn Wilson, Ph.D., Associate Director for Research,**
25 **CBER**

1 DR. WILSON: Yes, thank you, Dr. Cripe. I am
2 ready.

3 So I am going to give you a quick overview about
4 the Center for Biologics and then drill down into how we
5 review and manage research, some of the new processes we
6 have put in place as FYI for where we are going,
7 recognizing that not all of the processes were in place
8 during the four-year period that this group was reviewed
9 for, but just wanted to make you aware, and I want to start
10 by thanking both Dr. Cripe and Dr. Byrne who served as
11 cochairs for the site visit team that came last May. This
12 is a really important aspect of the membership on our
13 advisory committees. We often do tap members of the
14 committees to serve in this capacity, and we are really
15 grateful when they do.

16 So next slide. So this is just to give you an
17 overview of the types of products that we regulate here in
18 the Center for Biologics, and obviously you are mostly
19 familiar with cell and gene therapies and
20 xenotransplantation products and probably the human tissues
21 part of the portfolio, but we also regulate all blood and
22 blood components and various derivatives, vaccines, both
23 preventive and therapeutic, new areas like live
24 biotherapeutics, which includes things like fecal
25 transplantation, and allergenic products that include

1 actually over 1,300 different extracts that are used both
2 for diagnosis and treatment of allergies. And then we also
3 have oversight of various related devices.

4 We like to think of our research program as
5 playing an integral part in the life cycle of product
6 development, and we think of it as starting with the public
7 health concern that usually drives the development of a
8 novel product, and these novel products, especially as you
9 know in the areas of cell and gene therapies, often pose
10 regulatory challenges, because we don't always have the
11 science available to help us assess the risks and the
12 potential for benefits, and this is where regulatory
13 science, through a combination of discovery research and
14 targeted development of new tools, can play a role in
15 helping to fill some of these scientific gaps.

16 As we understand the science better, we as a
17 regulatory agency are in a better position to develop
18 regulatory policy and support our science based decision-
19 making and then hopefully at the end of the day we have
20 better data from our sponsors that will allow us to make
21 benefit risk decisions to license those products, to have a
22 positive impact on the initial public health problem, but
23 it doesn't stop there, and the post-market surveillance is
24 a really critical component after products are licensed to
25 ensure safety of these marketed products, and oftentimes we

1 often continue to gather efficacy data as well.

2 In Center for Biologics, we have what's called
3 the researcher reviewer model, where about 20 percent of
4 our staff are research scientists who perform their own
5 original research, and it is investigator initiated, but
6 they also have regulatory and review responsibilities, and
7 what this means is it's not just that they are an
8 occasional consult on a regulatory file but that they
9 actually are spending up to 50 percent of their time doing
10 all the same activities as a fulltime reviewer. This means
11 reviewing regulatory submissions, going out on inspections,
12 participating in organizing and presenting at advisory
13 committees, workshops, writing guidance documents, and so
14 on.

15 So because these scientists have one foot firmly
16 in the research community going out to their scientific and
17 professional meetings and one foot firmly within the
18 regulatory component of the agency, it really helps to
19 marry these two components into one person so that we can
20 make sure we are addressing the most important problems
21 with our research program. Reviewers often see across a
22 whole class of products and can identify gaps that would
23 help to move a whole class of products forward more
24 rapidly.

25 So we do this by having a wide variety of

1 scientific expertise within the center. As you can see, we
2 have a variety of important technologies that are helping
3 us to understand how best to characterize the types of
4 complex products we regulate. As you can imagine with our
5 portfolio, microbiology pretty much every type, including
6 working on transmissible spongiform encephalopathies.
7 Immunology is critically important, biochemistry, molecular
8 biology, cell and developmental biology, and a relatively
9 new program in tissue engineering.

10 Epidemiology to support post-market surveillance,
11 including development of new methods to do meta-analyses of
12 large healthcare databases, and of course biostatistics,
13 and we have also recently enhanced and grown a new group in
14 bioinformatics.

15 So we don't do this alone. We actually
16 collaborate across the country, as well as globally, and
17 these maps that are from the 15 research reporting database
18 show the types that we collaborate, not just across the
19 United States but also globally. We do a lot of
20 interactions with other regulatory authorities, with other
21 standard setting organizations, and with the World Health
22 Organization.

23 We do this through collaborations with academia,
24 other government agencies, nonprofit organization, and with
25 very careful oversight, at times we also collaborate with

1 industry.

2 So I am going to finish with a little bit of chat
3 about some new things that are going on within the Center
4 for Biologics. We stood up a peer mentoring group. One of
5 the concerns that has come up repeatedly in a number of
6 site visits is do we do enough mentoring of our younger
7 scientists, and so because we are fairly thin, we decided
8 that one way to address this is to do what's called peer
9 mentoring.

10 So we have a monthly group that is open to any PI
11 within the center, and we always make sure there's at least
12 one senior PI who is there to oversee and participate and
13 facilitate the discussion, and its open topics for whatever
14 scientists would find useful to share in terms of a variety
15 of different issues about how to manage a research program.
16 We did move to the White Oak campus about two years ago,
17 and then I'll also talk about this new research management
18 process that we are standing up.

19 One of the -- two components that are very
20 important are two new governance bodies that oversee
21 setting up research priorities as well as budget and
22 resource planning. So the regulatory science council has
23 leadership from across the center that helps to develop
24 center-level goals as well as oversee and approve office-
25 level goals and objectives, and they also are the body that

1 will undertake a portfolio review at a higher level of the
2 research program. Then the resource committee looks at
3 annual budget and resource planning to determine how funds
4 should be allocated to the offices. Both of these bodies
5 are advisory to the center director and deputy.

6 This year, the regulatory science council adopted
7 the following four regulatory science and research goals.
8 The first is to advance the scientific basis for regulation
9 of biologics, human tissues, and blood to enhance safety,
10 effectiveness, quality, and consistency through development
11 and evaluation of new concepts, methods, models, and
12 reagents. Second is to develop and assess nonclinical
13 models and methods with improved predictive value, and as
14 feasible apply the three Rs to the use of animals for
15 evaluation of safety and effectiveness of our products.

16 The third is to improve clinical evaluation of
17 our products through use of new biomarkers, large
18 healthcare datasets, innovative design and analysis,
19 applying new statistical epidemiological and mathematical
20 modeling approaches, and considering patient input to
21 inform benefit risk assessment of general and special
22 populations.

23 Finally, but very important, is also to be
24 thinking about future regulatory and public health
25 challenges through investments in emerging science and

1 technology and to develop and sustain varied scientific
2 expertise.

3 So starting in FY2017, we are changing how we are
4 reviewing our research programs on an annual basis. Twenty-
5 five percent of research programs will undergo peer review.
6 These are ongoing research programs, and the new project
7 proposals would be reviewed. This way in every four-year
8 cycle, we touch on every research program.

9 Then in addition, there is supervisory division
10 and office level review of the annual research report, and
11 that's done on an annual basis for all of the programs and
12 projects, and then there's a portfolio level review by the
13 regulatory science council.

14 In FY2017, we are doing a onetime look across the
15 entire portfolio and then subsequent to that, we will be
16 looking at one office per year. So again, we have four
17 offices that have a research component. So that will be
18 doing a portfolio look once -- we will get through the
19 entire center over a four-year period. We developed a new
20 research impact framework that can be used for all aspects
21 of review.

22 Next slide. That's shown here. There are several
23 elements that are more relevant to the portfolio management
24 but can also be applied to some extent at the individual
25 project and program level. And then there are things that

1 are clearly obviously important to evaluate at the project
2 level. So the first is is the research aligning with
3 center- or office-wide initiatives and priorities? Is the
4 research building a world-class review capability, because
5 as I mentioned our research scientists do participate in
6 the review, and their expertise as research scientists is
7 critically important to bring to the table in the review
8 capacity, and does it also provide an agile set of internal
9 capabilities to address unexpected urgent public health
10 needs? Good examples from the last couple of years are
11 Ebola and Zika where we have actually had to fairly quickly
12 stand up internal research capacity in those areas.

13 Then on the individual project level, are we
14 using our unique perspective as a regulatory agent to
15 address the scientific gaps and questions that are
16 important to fulfill our regulatory mission and obviously
17 what underlies all of it is the scientific merit and
18 feasibility and the PI's prior productivity.

19 So at the program level, the PI submits to the
20 research reporting base on an annual basis an overview.
21 There is a pulldown list for expertise so that there is
22 common nomenclature used to collect that information. They
23 have to also pick list their CBER goals and FDA priorities,
24 listing staffing collaborators, lab space, major equipment,
25 and other resources that they have, and then we also

1 collect there all publications, presentations; other output
2 might include things like employee invention reports or
3 patent applications.

4 At the project level, we collect how is it
5 relevant to the specific office goal and objective. We get
6 an executive summary and background for the project, and
7 then the PI needs to speculate on how this is going to
8 support review capability, what are the expected outcomes
9 and impact, and then one to three specific aims under which
10 each you provide an experimental approach and if it's an
11 ongoing project, the progress and the plans for the next
12 year, as well as they anticipated results.

13 We also collect there and keep track of a variety
14 of different administrative information, like personnel
15 working on the project, how much funds they are requesting,
16 the relevant IBC applications, risk applications, ACUC,
17 animal care and use committee, and a new element which is
18 falling out of an OSTP mandate for all government agencies
19 is to have a data management plan for all funded research.

20 So what we are talking about today is what
21 happened in May, which is an external site visit where we
22 bring in every four years a team of peer scientists who
23 review at the lab or branch level a group of principal
24 investigators. The output of that is the report which you
25 will be reviewing in closed session, and that report goes

1 to the internal promotion, conversion, and evaluation
2 committee, which is an internal peer review committee that
3 does exactly what it says. Sometimes they are looking at
4 promotions. Sometimes it is a conversion from temporary to
5 permanent status.

6 So what you have before you today is the draft
7 report that came out of the site visit team. The site visit
8 team is essentially set up as a subcommittee to this
9 advisory committee. So you have one of three options. You
10 can review the report and approve it in its current form,
11 or you can amend the report, or you can send it back to the
12 original site visit team for additional changes. It is up
13 to you to decide, depending on the discussion.

14 But I want to point out how important these
15 reports are. As I mentioned already, they are part of a
16 larger package for various personnel actions. The PIs
17 obviously take this opportunity to get external expert
18 input very seriously so that this is an opportunity to
19 improve the direction and experimental design of their
20 research program, and then management also takes these into
21 account when thinking about resource allocation decisions
22 or other issues. So as I mentioned already, you have three
23 different outcomes of today's meeting.

24 So again, I just want to thank Dr. Cripe and
25 Byrne for their participation as chair and cochair, as well

1 as the rest of the site visit team and to you today for
2 your attention and interest and input into the final
3 report, and just as a reminder, we don't discuss the final
4 report, any of that, those particulars, in this open
5 session, but there will be an opportunity to go through
6 that in detail in the closed session.

7 So thank you, and I'm happy to answer any
8 questions.

9 DR. CRIPE: Thanks, Dr. Wilson. I actually have a
10 question. You mentioned that in fiscal year 2017, you were
11 instituting a new peer review process. Is that separate
12 from this process? Is that an internal process? Or did I
13 misunderstand that?

14 DR. WILSON: No, thank you for asking. I wasn't
15 clear. That is an internal peer review, and I do want to
16 say that we have had an internal peer review of a portion
17 of projects over at least the last 10 years, but this is a
18 more systematic approach to doing peer review throughout
19 the center, and it's an internal peer review. So it
20 complements the external peer review. So we will continue
21 our external site visits as well.

22 DR. CRIPE: Will the external site visits have
23 access to those internal reports?

24 DR. WILSON: That is an excellent question. We
25 haven't gotten through the first round of this. So I will

1 keep that in mind, and we are going to sort of do a big --
2 we are setting in place a lot of new processes that are
3 carrying over from the end of 2016 into the 2017 cycle, and
4 we are going to sort of stop mid-cycle in 2017 after we
5 have gotten through everything once and reassess and think
6 about how to improve things going forward, and that is
7 certainly an interesting suggestion for us to keep in mind
8 as we look at the output of the peer review.

9 DR. BYRNE: Hi, Tim, this is Barry Byrne. I was
10 just going to maybe echo the comment that it was really
11 useful in that it was a bidirectional exchange of
12 information. So I hope that the site visit team was helpful
13 to the internal group, because I thought certainly as a
14 reviewer that I learned a lot about what was being done in
15 the agency and particularly by this particular group.

16 DR. CRIPE: Great, thanks, Barry. Great to have
17 you on. Any other questions about CBER, the CBER overview?

18 DR. WILSON: Okay, thank you.

19 DR. CRIPE: Great, thank you, Dr. Wilson.

20 Next up is Dr. Raj Puri, who is the director of
21 the Division of Cellular and Gene Therapies, and he will
22 give us an overview of the Office of Cellular, Tissue and
23 Gene Therapy research programs.

24 **Agenda Item: Overview of Office of Cellular,**
25 **Tissue and Gene Therapies, Raj Puri, M.D., Ph.D., Director,**

1 **Division of Cellular and Gene Therapies**

2 DR. PURI: Okay, good afternoon. I am Raj Puri. I
3 am the director of the Cellular and Gene Therapies and the
4 Office of Cell, Tissue, and Gene Therapy, but before I
5 begin, I would like to thank the committee for your time
6 and efforts in reviewing the research program in the
7 Division of Cellular and Gene Therapies.

8 In my discussion, I will talk about the
9 organizational structure of the Office of Cell Tissue and
10 Gene Therapy, I'll talk about mission and activities,
11 regulatory portfolio, and researcher reviewer model, and
12 the summary of the site visit of the lab activities in Gene
13 Transfer and Immunogenicity Branch will be provided to you
14 by Dr. Andrew Byrnes.

15 In slide number three is a chart that lists that
16 our office is headed by Dr. Celia Witten who is
17 unfortunately not able to attend this afternoon, due to
18 other urgent commitment. Dr. Witten has recently been
19 selected as a deputy center director of CBER.

20 In this office we have three divisions, the
21 Division of Cellular and Gene Therapy that I direct, and in
22 collaboration with the Division of Clinical Evaluation and
23 Pharm/Tox folks, we do all the premarket review of all the
24 applications in the product area that we oversee are
25 submitted to our office. Our colleagues in the Division of

1 Human Tissues are involved in human tissue safety for
2 transplantation.

3 Slide four lists the organizational structure of
4 the Division of Cell and Gene Therapies, DCGT. We have five
5 branches. Branches on your left, Gene Therapies Branch and
6 Cell Therapies Branch, are fulltime review branches where
7 these colleagues perform the premarket review of all the
8 applications, as well as they develop policy and guidance
9 documents, and do a large amount of outreach effort with
10 our stakeholders.

11 The colleagues in the remaining three branches on
12 the right, these colleagues also do the same activity on
13 those regulatory branches, but 50 percent of the time. The
14 other 50 percent of the time, they spend in performing the
15 mission relevant research supporting the medical product
16 development submitted to FDA. The branch which is being
17 reviewed here today is Gene Transfer and Immunogenicity
18 Branch.

19 Slide five, the mission of our office is to
20 ensure the safety, potency, and effectiveness of cell
21 tissue and gene therapy products which are used for
22 prevention, diagnosis, and treatment of human diseases.

23 Next slide is we regulate a plethora of products
24 listed in this slide that includes cell therapy, including
25 adult or embryonic or induced pluripotent stem cells,

1 cancer vaccines and immunotherapy products, gene therapies,
2 tissue and tissue based products, xeno products,
3 combination products where the cells and genes are combined
4 with the device or the drugs. We also evaluate devices that
5 are used to select cells and tissues and of course evaluate
6 the donor screening test for tissue safety.

7 Slide number seven lists the trend of the
8 submissions that we receive each year, and as you can see,
9 that over the years the number of submissions in our
10 product areas have been on the rise, and if you look at the
11 last five years alone, the number of submissions has almost
12 doubled in our office. Most submissions include cell
13 therapy, followed by gene therapy, and other files.

14 Slide eight lists the -- so the number of
15 submissions coming from commercial sources or research
16 sources, research applications, that are predominantly
17 coming from academic investigators, government
18 investigators, and startup companies that requires
19 significant effort, our staff's time spent in helping
20 initiate the IND and further product development.

21 Slide nine lists the OCTGT activities that
22 include the review and evaluation of the various product
23 applications that includes BLA and their supplements. Our
24 staff performs a large amount of pre-IND meetings with the
25 sponsors, pre-pre-IND, pre-IDE, presub advice. We

1 participate in the inspection of the manufacturing
2 facilities for compliance with applicable standards and
3 other compliance activities including court cases. We
4 develop policy and procedures governing the premarket
5 review and evaluation of cell and gene therapy products, in
6 keeping with the provisions of the Public Health Service
7 Act and Food and Drug Cosmetic Act.

8 In slide 10, continuing listing of OCTGT
9 activities, our staff develop FDA guidances for the
10 regulation of our product areas. We provide consult and
11 education to various CBER offices, FDA centers, government
12 agencies, sponsors, and our staff participate in organizing
13 and presenting at the advisory committee meetings.

14 We do a large amount of community outreach to
15 professional societies and patient advocacy and the list is
16 provided to you in my handout that lists all these
17 activities that we do. Similarly, we have partnership with
18 the standard determining organizations, NIH, and global
19 regulatory authorities, and this list is also provided in
20 additional slides in your handout. Our staff is involved in
21 performing research to support the review and progress
22 towards a safe and effective medical product development.

23 Slide 11, our staff in the Office of Cellular
24 Tissue and Gene Therapy has been very prolific. In the last
25 4 years alone, they have published 13 guidance documents,

1 and the list is provided to you in additional slides, and
2 these are important documents that include potency, cancer
3 vaccine considerations, pharm/tox, early phase clinical
4 trials, shedding studies, environmental assessment in gene
5 therapy, cord blood guidance, cartilage guidance, adipose
6 tissue, and minimal manipulation.

7 The research program as listed in slide 12 has
8 three important goals, which have been recently refined.
9 These goals are aligned with the center's goals, and the
10 objective under these goals are provided to you in your
11 handout in additional slides. The major goals include in
12 research involving chemistry, manufacturing, controls, and
13 assay development, lot release testing, identifying
14 critical quality attributes that could be predictive of
15 safety, effectiveness, and consistency of the product
16 performance.

17 The second goal involves preclinical and clinical
18 investigation, that includes animal models, pharm/tox
19 model, proof of concept studies, and clinical study issues,
20 and the goal of improving the safety and efficacy of our
21 products. The third goal involves the safety issues related
22 to human tissues.

23 Slide number 13 lists a variety of different
24 projects, areas, general areas of research that our 13
25 principal investigators undertake in DCGT. Areas include

1 virology, immunology, cell and development biology, cancer
2 biology and immunology, and we are very fortunate that we
3 have expertise and equipment and knowhow in a variety of
4 cutting edge areas of research that includes genomics, flow
5 cytometry, proteomics, transgenics, tissue engineering,
6 pyrosequencing, and whole genome sequencing.

7 Slide number 14 gives an example of a
8 collaboration between seven principal investigators in the
9 Division of Cellular and Gene Therapy, and this group of
10 investigators chose a model cell type, MSC, which is a
11 multipotent stromal cells, or another name is mesenchymal
12 stem cells, and trying to characterize the various
13 different biological characteristics of this cell type with
14 the hope of relating the biological characteristic to the
15 outcome, which is biological activities, potency, safety,
16 and efficacy.

17 These investigators are listed in this slide
18 dealing with the gene expression, proteomics, epigenetics,
19 genomics, and various biological activities in vitro and in
20 vivo models.

21 Now I will switch to some of the -- in explaining
22 the researcher reviewer model that you heard from Dr.
23 Wilson and providing the DCGT perspective that our product
24 areas are diverse and rapidly evolving. They use new
25 regulatory paradigms that are developing rather than

1 established. These products raise extraordinarily complex
2 issues, and to address some of the scientific challenges
3 and to understand the science behind it to be able to
4 communicate that we seek to foster a cadre of researcher
5 reviewer scientists who perform regulatory review,
6 participate in the development of policy and guidance
7 documents, they perform research in key areas to support
8 the FDA mission, and help sponsors solve product
9 development problems to advance the products to the
10 marketplace.

11 We are not trying to reproduce industry,
12 academia, or NIH expertise here. However, we need
13 scientists in key areas that can engage in constructive and
14 informed evaluation of files and productively interact with
15 the industry and academia including their cutting edge
16 scientists.

17 We have principal investigators; I indicated that
18 we have 13 PIs in the division. They are tenured, or some
19 of them are tenure track researcher reviewers. We have
20 staff scientists, which are tenured researcher reviewers
21 support the PI program. They do both research and review.
22 We have technical staff do primarily research supporting
23 PIs, some do limited amount of regulatory work.

24 We have staff fellows. We have Commissioner's
25 Fellows. We have FDA, NCI, interagency oncology task force

1 fellows. They do review and research work. And we have
2 postdoctoral fellows through the ORISE mechanism. They do
3 primarily research, and the funding is provided to PIs and
4 they are expected to lead and promote FDA mission relevant
5 research program.

6 Slide 17 talks about responsibilities of PIs.
7 They do product review of various regulatory pathways. They
8 participate in inspection, and the regulatory mentoring for
9 the reviews of PIs is provided there, branch chiefs, in
10 addition to regulatory branch chiefs that we have in the
11 division.

12 These PIs are involved in policy development,
13 writing the guidance documents, advisory committee
14 participation, and they do quite a bit of outreach effort
15 and pre-submittal advice, scientific and regulatory talks,
16 refereeing and editing for journals, chairing the
17 scientific conferences, and do scientific collaboration.
18 They are expected to manage their research program. They
19 provide training and mentoring supervising, publishing
20 papers, writing grants, expert peer reviewers or journal
21 articles, and they are engaged into compliance and
22 enforcement actions.

23 The budget for these PIs is provided. A core
24 budget is provided from the division each year and in
25 addition, too, all PIs have an opportunity to apply for

1 additional grants through the Office of Science and Health
2 Coordination Office of the Commissioner, Chief Scientist
3 Challenge Grants, and in the past they used to be, as you
4 heard from Dr. Wilson, we used to have an additional
5 mechanism of internal peer review in three different
6 pathways of research proposal that PIs used to get
7 additional funding for their program.

8 In addition, too, many DCGT PIs have been
9 successful in obtaining grants from Department of Defense,
10 from BARDA, and getting some funds from Cooperative
11 Research Development Agreements and royalties from their
12 patents.

13 As you heard from Dr. Wilson, the research
14 program is managed and there are quite a few new processes,
15 but in the past, we looked at the productivity and
16 alignment with our office's goals. Every two to three
17 years, Dr. Sue Epstein, who is the associate director of
18 research in our office, she does horizon scanning, getting
19 the input from our medical officers, pharm/tox reviewers,
20 product reviewers, regulatory folks, and researcher
21 reviewers to identify the new areas of hopefully developing
22 a new program in the future.

23 We track outside resources our PIs received and
24 you heard already that each PI is expected to provide their
25 -- some meet at the CBER research center, their annual

1 report, and providing their research goals and the progress
2 of the research, and some of them refocus and adjust their
3 ongoing research program.

4 Slide 20 talks about the mentoring of PIs and you
5 heard about it in detail from Dr. Wilson. We also get, in
6 addition to input from the PCE you heard an advisory
7 committee and site visit, we have also gotten advice and
8 review of our research program under the umbrella of office
9 of commissioners, CERSI program, which is Center for
10 Excellence in Regulatory Science Initiative. We brought
11 experts, the world's expert in the area of MSC to get input
12 in MSC consortium projects. I would also like to mention,
13 in addition to what you heard before from Dr. Wilson, that
14 each staff in the DCGT undergoes a cyclical review every
15 five years.

16 Slide 21 lists the review of the productivity of
17 each PI and how we allocate the division resources, and we
18 have been doing this for the past more than a decade, where
19 Dr. Epstein has been collecting information from each PI,
20 their scientific publication in the peer review journal,
21 their impact factor, their authorship role, their
22 regulatory workload and quality, and the review articles,
23 regulatory articles, any patents, patents files, any
24 invited presentations, and recognition by peers.

25 We look at all of this in coming up with the

1 numbers to reward high performers, medium performers, and
2 low performers for additional small amounts of funding that
3 the DCGT gives out to the PIs.

4 My last slide, slide 22, I would like to thank
5 Dr. Celia Witten and Dr. Epstein for support and the entire
6 staff in the Division of Cell and Gene Therapy for their
7 outstanding health work in promoting and protecting public
8 health and I would like to thank you for providing your
9 insights. Your input is critical to fulfilling our
10 regulatory mission at the FDA. I would like to stop here
11 and if you have any questions, I would be happy to answer.

12 DR. CRIPE: Thank you, Dr. Puri. We will open it
13 to questions. I'm going to start again. I noticed on your
14 slide that the scientists are eligible to apply for
15 Department of Defense funding but my recollection is you
16 can't apply for NIH funding. Can you confirm that's true
17 and why the difference?

18 DR. PURI: Yes, confirming yes.

19 DR. WILSON: The difference is a congressional
20 restriction on applying for another DHHS agency. Because
21 DoD isn't DHHS, we can apply for their grants. We're not
22 eligible for all of their grant programs, but certain ones.

23 DR. CRIPE: Okay, thanks. Any other questions from
24 other committee members for Dr. Puri?

25 DR. ROSE: Yeah, Steve Rose. I may have missed it

1 and I apologize if I did, but in looking at slide number 4,
2 which is the DCGT structure, can you explain the difference
3 between Gene Therapies Branch and the Gene Transfer and
4 Immunogenicity Branch, and then the Cell Therapies Branch
5 and the Cellular and Tissue Therapy Branch? What is the
6 overlap and what's the distinction?

7 DR. PURI: The main distinction is that in Gene
8 Therapy Branch, we have fulltime review scientists. They do
9 not do any research. In Gene Transfer Immunogenicity
10 Branch, we have researcher reviewer, that model I
11 described. These folks do 50 percent of the time of the
12 regulatory activities as well and the other 50 percent they
13 spend in research.

14 The regulatory activities take the precedence
15 over the other activities. So when we have applications are
16 submitted at the time, each PI and staff in their branches
17 are expected to meet those PDUFA Prescription Drug User Fee
18 Act timelines and with remaining time, they continue their
19 research.

20 Similarly, in the Cell Therapy Branch, we have a
21 regulatory scientist. They do not do research but in Cell
22 and Tissue Therapy Branch, we have scientists who do both
23 research and regulatory. That's the key difference between
24 the two.

25 DR. ROSE: What is the interaction between them

1 because obviously the Gene Therapies Branch, I would guess,
2 has some information that could be useful for the Gene
3 Transfer and Immunogenicity Branch, the same thing with the
4 cell.

5 DR. PURI: Yes. So there is quite a bit of
6 interaction between these branches. We will start from
7 every two weeks, the Division of Cell and Gene Therapy
8 holds a regulatory meeting. They spend two hours going over
9 all the regulatory submissions during that time period.
10 That includes pre-IND, pre-pre-INDs, any BLAs or any end of
11 phase II or phase III three submissions.

12 In addition, too, we invite speakers from outside
13 who are within the FDA. We talk about specific areas. For
14 example, in manufacturing and quality testing, and et
15 cetera. In addition to that, all the reviews that are being
16 done from Gene Transfer Immunogenicity Branch and Cell and
17 Tissue Therapy Branch, which our research regulatory branch
18 is, the reviews are looked at by their immediate branch
19 chief, in Gene Transfer and Immunogenicity Branch, by Dr.
20 Andrew Byrnes.

21 And then for the consistency of all of the
22 reviews, the final signoff is by the branch chief in gene
23 therapy branch. So any IND or applications other than INDs,
24 such as IDE, 510(K)s, pre-BLA reviews, they are reviewed by
25 Andrew, plus they are finally reviewed by Denise Gavin. So

1 that ensures the consistency for review as well as the
2 comments that we provide, and guidance, that we provide to
3 our sponsors.

4 In addition to that, we have branch chief
5 meetings. So every two weeks, very cross-cutting
6 discussions. In addition, too, we have a working group,
7 cell therapy working group, gene therapy working group,
8 they get together, focused area of expertise, and folks who
9 are reviewing cell therapy, they all get together and
10 interact significantly with each other and cross
11 communication of ideas and expertise. Dr. Epstein would
12 like to add additional things here.

13 DR. EPSTEIN: Just to add that the full time
14 review staffer is always invited to the research work in
15 progress talks and to the site visit rehearsals and other
16 events where they can learn more about the research, the
17 impacts, series of presentations, and they can provide
18 input to those speakers.

19 DR. CRIPE: Other questions for Dr. Puri?

20 (No response.)

21 I don't hear any, so thank you, Dr. Puri.

22 We will move on to our next speaker, Dr. Byrnes,
23 who is the chief of the Gene Transfer and Immunogenicity
24 Branch and will give us a summary of that branch.

25 **Agenda Item: Summary of Gene Transfer and**

1 **Immunogenicity Branch (GTIB) Research Programs, Andrew**
2 **Byrnes, Ph.D., Chief, GTIB, OCTGT, CBER**

3 DR. BYRNES: All right, good afternoon, everybody.
4 I would like to start by thanking the advisory committee
5 and also the site visit committee for their feedback. We
6 are really looking forward to it. It's always extremely
7 helpful in improving the quality of our research programs.
8 So we value it highly.

9 Listed here are the three labs that were under
10 review. My lab, where Zhili Xu is also a visiting
11 associate, Suzanne Epstein's lab, where Graeme Price is a
12 staff scientist, and Jakob Reiser's lab. So this afternoon
13 I'm just going to give you a bit of overview about the
14 branch in general and then very briefly go through each of
15 the three labs just to give you an idea of what we do and
16 why it's important to the FDA and then at the end, we'll
17 all be available for questions if anybody has any
18 particular specialized questions.

19 So on slide 2, just a reminder that everybody in
20 this branch that is being reviewed today is a researcher
21 regulator. We do both laboratory work and regulatory work.
22 We review manufacturing and testing of both gene therapies
23 and cell therapies, and this includes an increasing number
24 of late phase clinical trials in both gene therapy and cell
25 therapy. In addition, we are involved in policy and

1 guidance development, as you heard from Dr. Puri, and also
2 outreach to professional societies.

3 On slide 3, our research and our branch is
4 focused on gene therapy, virology, and immunology, and the
5 mission relevance is to try to improve product safety and
6 efficacy, try to develop new ways of characterizing these
7 extremely complicated products, developing better
8 preclinical animal models is a big effort, and also FDA and
9 HHS have overarching priorities for certain issues such as
10 pandemic influenza as one example.

11 These are the three branches. We all work on
12 different viral systems. These are the three labs within
13 the branch. I just wanted to, on slide 5, mention that
14 we've expanded recently just within the past few months. We
15 have two new labs. We have recruited two new PIs from
16 outside the FDA, outside the government.

17 The first is Nirjal Bhattarai who will be
18 studying viral manipulation of T cell signaling. This is
19 very relevant to the large number of T cell products that
20 we are regulating currently. He joined us from the
21 University of Iowa. And then Zhaohui Ye, who is joining us
22 from Johns Hopkins University, is working on development of
23 hematopoietic cells from induced pluripotent stem cells as
24 well as gene editing. Both of these, again, are very up and
25 coming topics in the products that we're receiving in IND

1 form.

2 I wanted to expand a little bit on mentoring for
3 new PIs. You heard a little bit about that already. Within
4 our branch, we have mentoring of new PIs by both the branch
5 chief, myself, and the OCTGT ADR, Dr. Epstein. Regulatory
6 review mentoring by the branch chief and others, including
7 Denise Gavin, for example, the branch chief of the Gene
8 Therapies Branch.

9 In addition, each new PI is paired with an
10 independent, experienced mentor PI, either from within the
11 FDA or from outside the agency. This is to give them some
12 independent advice and feedback on both their research and
13 on administrative issues. There is also the new informal
14 monthly CBER PI peer group that Dr. Wilson mentioned
15 earlier. Also, starting in October, we are going to have a
16 series of six lecture-based trainings for new PIs in lab
17 management. These topics include how to manage projects,
18 how to hire good people, publications, presentations,
19 topics like that, lab budget management.

20 All right, so I'm going to start by telling you a
21 bit about Dr. Epstein's program and again, we are on slide
22 7 now, Dr. Price is a staff scientist in Dr. Epstein's lab
23 and I will be pointing out the work that he contributed to,
24 as well.

25 Slide eight now. As you all are aware, influenza

1 is a very serious public health problem, both the seasonal
2 influenza strains but also a special concern about emerging
3 strains or pandemic strains. Even with the seasonal
4 influenza strains, the strain match vaccine may be delayed
5 or insufficient because of shortages of manufacturing or
6 difficulties of manufacturing. As you also know, you have
7 to predict the strain that is going to be needed for the
8 vaccine a long time in advance. Unexpected drift or shift
9 in the strains that are circulating can mean that the
10 vaccine is no longer a good match.

11 So for all these reasons, there is a lot of
12 interest in developing universal influenza vaccines that
13 have wider protection than just a single strain. So the
14 Epstein lab has been working on that for a long time and
15 they primarily work in animals showing protection with
16 vaccines that express conserved influenza proteins. These
17 vaccines are based on plasmids and adenoviruses mainly, but
18 also on adeno-associated virus vectors.

19 I'll go through three research projects that the
20 Epstein lab has been doing recently, two of them in
21 animals. One of them is whether universal influenza
22 vaccines of the type that they work on can protect elderly
23 mice. This is a big issue because in the elderly, influenza
24 is often more severe than in other populations, but also in
25 the elderly, vaccines may not work as well. So it is an

1 important question whether these universal influenza
2 vaccines can protect the elderly.

3 The second question is whether these vaccines not
4 only protect the recipient, which they have shown already,
5 but whether they can inhibit transmission of influenza and
6 possibly inhibit spread within the population.

7 The final topic that I am going to talk about is
8 whether there is pre-existing cross-protective immunity in
9 the human population against new strains of influenza. This
10 took advantage of the H1N1 pandemic outbreak in 2009 to do
11 a prospective study looking at whether the population
12 already had some pre-existing protective immunity against
13 H1N1.

14 The relevance to CBER's public health mission, as
15 I mentioned, the CBER and FDA and HHS in general are very
16 interested in control of epidemic and pandemic influenza
17 from both a public health perspective and a counter-
18 bioterrorism perspective, but just within our own office,
19 we have a great many products that are based on adenovirus
20 and plasmids where many of the same issues arise. We need
21 to understand immune responses to these products, whether
22 they are used as vaccines or gene therapies or oncolytic
23 viruses. It's all very important in how we regulate them
24 and how we make sure that they are safe and effective.

25 So on slide 10 now, this is the study that was

1 recently published by the Epstein lab on protection by
2 universal influenza vaccines in elderly mice. So in this
3 study, they used two vaccines based on adenoviruses, one
4 expressing influenza nucleoprotein, the other expressing
5 influenza M2 protein, and found that they protect elderly
6 mice from lethal influenza infections. So elderly is 20
7 months old. The mice were primed and boosted and then
8 challenged at 22 months.

9 On the left is morbidity as measured by body
10 weight, and on the right is mortality. You can see in the
11 filled in circles, the dark circles, a combination of both
12 of the NP and the M2 vaccines was effective at protecting
13 mice from a lethal influenza challenge, more effective than
14 each of the vaccines individually. So this shows that these
15 types of vaccines can be effective in elderly mice.

16 On slide 11, the question is whether these
17 vaccines protect against, can impact transmission of the
18 virus. Graeme Price was the primary driver of this work and
19 he developed a new assay to look at transmission of
20 influenza within mice. So on the left, you can see the red
21 mice. These are mice that have been vaccinated with the
22 universal influenza vaccine and then infected with
23 influenza. One day later, the mice are placed in cages with
24 naive contact mice that have never been vaccinated, the
25 white mice, and then three days later, all of the mice are

1 sacrificed and then collected tissues to determine whether
2 the uninfected mice have become infected or not, to look at
3 whether the vaccine was effective at reducing the spread
4 from the red mice to the white mice.

5 The finding was very strong, that the universal
6 vaccines, the same NP and M2 vaccines reduce transmission
7 of influenza from infected mice to naive contacts. So not
8 only are these vaccines protecting the recipients, but they
9 are protecting the contacts as well. This is important
10 because these vaccines don't provide sterilizing immunity.
11 Mice that have been vaccinated with these vaccines can
12 still become infected with influenza, but they seem to shed
13 a bit less virus and transmission is greatly reduced.

14 In unpublished work down at the bottom of this
15 slide 11, Graeme Price has done some recent work on the
16 mechanism and found interestingly enough that antibodies in
17 the vaccinated animals are not required for reduction of
18 transmission. Cellular immunity, T cell immunity, is
19 sufficient. So T cells in the vaccinated animals are not
20 only for protecting the animals themselves, but they are
21 also reducing transmission of the virus to new contact
22 animals.

23 On slide 12 is the human study that I mentioned
24 that took advantage of the 2009 H1N1 pandemic to ask the
25 question whether, if people have been exposed to influenza

1 previously, whether they have some degree of T cell
2 immunity in particular that might protect them against
3 subsequent strains of influenza even if they've never seen
4 those strains before.

5 So this is an example of a very comprehensive
6 look at different T cell reactivity against different
7 influenza antigens. This is before the pandemic hit and
8 then as it turned out, the pandemic was fairly limited. So
9 only a few people got infected in this study. It was too
10 limited to draw statistical conclusions about whether this
11 pre-existing immunity protected against the virus or not.

12 Even so, there were some novel observations,
13 including about the antibody responses that happened after
14 an infection, and a new T cell marker of infection that was
15 identified. So this work is currently being written up for
16 publication.

17 So in sum, on slide 13, these universal influenza
18 vaccines have broad public health implications. They can
19 provide cross-protective immunity that might be useful
20 early in an outbreak before match vaccine strains, the
21 traditional influenza virus, before those are available.
22 They have the potential to reduce not just illness and
23 death but also viral titers and the spread of the infection
24 within the population. Perhaps someday they could even be
25 used routinely, probably as an add-on to the traditional

1 influenza vaccine.

2 All right, now, on slide 14, we are going to move
3 to Jakob Reiser's research program. He worked on safety-
4 enhanced lentiviral vectors for gene therapy. Lentiviral
5 vectors are a big class of products that we regulate here
6 at our division.

7 So his background, the goal of the Reiser lab is
8 to develop safer vectors by two aspects. One is by
9 narrowing the vector's tissue tropism, so controlling what
10 receptors the vectors bind to. The second is once the
11 vector has gotten into the cell, to control how the vector
12 integrates, where it integrates into the genome, and to try
13 and target that to make safer and more effective products.

14 We are going to focus first on narrowing the
15 vector's tissue tropism. The approach that Dr. Reiser has
16 taken is to pseudotype these lentiviral vectors with H and
17 F proteins from paramyxovirus. So measles virus and tupaia
18 paramyxovirus. The receptor binding of these viruses is
19 fairly well understood. You can mutate the H protein so
20 that it no longer binds to the normal receptors and then
21 you can add on various tags to try and change the tropism
22 of the vector to bind to new receptors.

23 So on slide 17, we have an example of how this is
24 done. Dr. Reiser's lab has targeted both the IL-13 receptor
25 alpha 2 and the EGF receptor. Both of these receptors are

1 expressed on certain types of tumors, and it will be very
2 useful to have lentiviral vectors that could specifically
3 target tumors that express these proteins.

4 So we have receptor-blind H proteins that can be
5 modified in a couple different ways. One is by putting
6 protein ligands on them. So the IL-13 protein itself or the
7 EGF protein will target their respective receptors. The
8 other way of doing this is to use RNA aptamers. These
9 aptamers can be evolved to have very high affinity against
10 various proteins. So the Reiser lab has taken an EGF
11 receptor aptamer that binds to the EGF receptor and figured
12 out how to attach this RNA to the H protein and retarget
13 the vector to cells that are EGF receptor-positive.

14 On slide 18, the other aspect of the Reiser lab's
15 research is to look at how to target vector integration to
16 specific sites in the chromosome. So their approach is to
17 use integrase-defective lentiviral vectors with homology
18 arms that have DNA that will target it to specific sites.
19 In the case of these examples, the AAVS1 safe harbor locus
20 where AAV integrates is being used as the target. This is
21 thought to be a safe site for DNA integration.

22 So the Reiser lab is combining site-specific
23 nucleases with homology-directed repair to try and improve
24 the efficiency of editing of these sites. In some cases,
25 these nucleases cleave the DNA entirely. In some cases,

1 they may just make a single strand.

2 Here on 19 in cartoon form is the illustration of
3 how this works. You need two vectors. One vector, the donor
4 vector, has the donor sequences including homology arms and
5 whatever sequence you wish to integrate. If you just use
6 this donor vector by itself, the process is extremely
7 inefficient because there is no integrase. The vector
8 doesn't integrate well in either, to the target site or
9 anywhere else for that matter.

10 However, if you combine this with a vector that
11 expresses a site-specific nuclease that is specific for the
12 AAVS1 locus, this can greatly enhance the efficiency by
13 bringing in the cellular DNA repair machinery. So when the
14 nuclease targets that specific locus, the repair machinery
15 comes in and that increases the efficiency of homology-
16 directed repair. So the Reiser lab is working to try to
17 improve the efficiency of this process. They are using
18 novel nucleases to try to do that and also to try to
19 improve the safety of the process by making sure it
20 integrates in the correct location.

21 So future plans from the Reiser lab, to design
22 improved strategies to target both the IL-13R alpha 2 and
23 the EGF receptor and do this by high-affinity aptamers and
24 also by evolving vector variants that have higher targeting
25 to either of these receptors. This will be done both in

1 vitro and in vivo, in vivo using mouse models of human lung
2 cancer. Then the other aspect of the work, again, is to
3 design safer strategies for genome editing using site-
4 specific recombinases, new strategies with novel
5 recombinases.

6 Then finally, for my lab, Dr. Zhili Xu is a
7 visiting associate at my lab and I will be pointing out the
8 work that he was involved in as well.

9 So my lab, on slide 22, we focus on adenovirus
10 vectors. This is an extremely popular vector in clinical
11 trials. We have a large number of active INDs in our
12 division, about 60 gene therapies and oncolytic
13 adenoviruses. Almost all of these are based on serotype 5.
14 So most of our research today has been done on serotype 5,
15 but the field is gradually expanding to other serotypes and
16 we are as well in response to that, expanding to other
17 serotypes as well.

18 Over in the Office of Vaccines, there are about
19 40 adenovirus-based vaccines, mostly vector vaccines
20 against other infectious diseases. So this is very active
21 area of research of both Office of Vaccines and in our
22 office.

23 On slide 23, so our focus in our lab is on
24 systemic delivery of gene therapy with adenovirus vectors.
25 We use rodent models, non-replicating adenovirus 5 vectors.

1 So our question is what happens when you inject these
2 intravenously? Where do these vectors go? What types of
3 tissues do they transduce and why? What receptors do they
4 use?

5 The potential of this systemic delivery is that
6 you could deliver the vector, in theory, to any organ or
7 tumor. However, the reality is that these vectors have very
8 poor pharmacokinetics. They are cleared extremely rapidly
9 from the circulation and there is toxicity as well because
10 of activation of the innate immune system, primarily, and
11 the liver in particular is a target for that. So we have
12 been studying the liver for quite a while now.

13 So on slide 24, here is a diagram of the liver
14 and the cells that we study. We have been studying
15 clearance of the vector by Kupffer cells for quite a few
16 years and trying to understand the receptors that are used
17 for that. Kupffer cells are macrophages that clear very
18 large amounts of adenovirus vectors.

19 Adenovirus vectors also transduce hepatocytes
20 extremely efficiently and we are trying to understand what
21 receptors are used and the mechanisms for that for two
22 reasons. One is to be able to manipulate it and try to
23 either decrease or increase targeting hepatocytes and the
24 other is to try to avoid hepatocytes if we can with certain
25 vectors.

1 What we're finding is that the receptors for the
2 adenovirus turn out not to be so important for this process
3 for either targeting Kupffer cells or hepatocytes. It turns
4 out that what is important is when you inject things
5 intravenously, they immediately get coated by all sorts of
6 plasma proteins and that really controls the tropism of the
7 vector.

8 So the importance of our research is how to
9 improve vector biodistribution for both safety and efficacy
10 reasons, try to understand how hepatotoxicity occurs, and
11 also to try and understand how accurate these rodent models
12 really are for predicting humans, whether there are
13 differences in the proteins that opsonize the bars between
14 humans and mice and how that might affect the predictive
15 power of the mouse model.

16 Here are some examples on slide 25 of plasma
17 proteins that opsonize adenovirus. One worth pointing out
18 is coagulation factor X. Interestingly enough, the virus
19 hexon protein, which is in purple here, has very high-
20 affinity binding to coagulation factor X. So as soon as you
21 inject it intravenously, the virus gets immediately coated
22 by coagulation factor X. In addition, other proteins of the
23 innate immune system, immune globulin M, complements of
24 proteins interact with the virus as well and this can have
25 a big impact on the tropism of the virus.

1 On slide 26, some work that Zhili Xu led on
2 coagulation factor X and its importance in the tropism of
3 the virus. What we found is that coagulation factor X
4 shields the virus from natural IGM antibodies and from the
5 complement system. So the way this works is the virus
6 becomes coated with factor X and this acts as a shield
7 against the antibodies and complement. This has a very
8 large impact on liver transduction. When the shield is
9 absent, so when the virus is not able to bind to factor X,
10 the vector becomes very poor at transducing the liver.

11 So our ongoing and future work, on slide 27, as I
12 mentioned earlier, we are moving beyond Ad5 to study other
13 Ad serotypes. We are finding that factor X doesn't bind to
14 all Ad serotypes and that when it does, factor X doesn't
15 affect all the Ad serotypes the same way it does Ad5. So
16 this is quite interesting. There is a large number of
17 different serotypes and it is giving us a lot of
18 interesting tools to work with.

19 In the long run, the goal is to be able for us or
20 for others to be able to design vectors that have tailored
21 interactions with plasma opsonins and allow us to better
22 control where the vector goes and target it. We are
23 studying additional coagulation factors besides factor X.
24 Factor X is not the only coagulation factor that interacts
25 with viruses.

1 We are also studying how mouse studies relate to
2 humans. So for example, we are finding that human and mouse
3 coagulation factors don't always bind the same way to all
4 vectors. They have different affinities and we are also
5 studying both mouse serum and human serum to compare how
6 they neutralize the vector and how coagulation factors can
7 protect the vectors.

8 All right, so that concludes the talk and I will
9 be happy to take any questions or direct any questions to
10 any of the other people that are under review today.

11 DR. CRIPE: Thank you, Dr. Byrnes. Any questions?

12 DR. ROSE: Yes, Steve Rose again. I'm interested
13 in -- I don't see adeno-associated virus on your list. Is
14 that not something you are looking at?

15 DR. BYRNES: So Dr. Epstein has done some work on
16 AAV vaccines and Dr. Reiser also has a small research
17 program that he is starting on AAV vaccines, but it's not a
18 focus of any of our programs right now, but I'll let Dr.
19 Epstein talk.

20 DR. EPSTEIN: I will just add one point, that in
21 the future plans presented to the committee, in the report
22 we proposed an additional project that included some AAV
23 vectors from Jay Chiorini's lab at NIH that we looked at
24 for impact on the lungs.

25 DR. ROSE: So this is the research and review

1 branch. Am I remembering correctly?

2 DR. BYRNES: That is correct.

3 DR. ROSE: So when an AAV IND comes in, is that
4 strict -- who covers that from the vectorology point of
5 view?

6 DR. BYRNES: Well, we don't have enough positions
7 to have research programs in every possible vector, and we
8 use -- many of us have general background educations as
9 virologists and are able to cover a number of systems. So I
10 review adenovirus vectors, I review herpes vectors, AAV
11 vectors, I review cell therapy, so we have some general
12 expertise. We do have a lot of AAV vectors in our
13 portfolio. Also, Denise Gavin trained with Jude Samulski so
14 she has particular expertise in AAV vectors.

15 DR. ROSE: That's fine, I just didn't see them
16 listed and was just confused. Thanks.

17 DR. CRIPE: Other questions?

18 DR. ZOVEIN: Hi, this is Ann Zovein. I just had a
19 quick question. A lot of the focus on targeting is
20 therapeutic which is great, but I was just curious how the
21 different groups look at off-target effects of some of the
22 vectors that they're engineering.

23 DR. BYRNES: So in my own research program,
24 Kupffer cell uptake would be an example of off-targeting
25 because that's undesirable. The vector that ends up in

1 Kupffer cells is essentially wasted, and that can
2 contribute to the inflammatory response. Dr. Reiser is also
3 interested in off-targeting in a different sense. How
4 efficiently can you integrate into one specific area of the
5 chromosome and direct it to that area and not to others?

6 As I mentioned, also in the new research program,
7 Zhaohui Ye has been studying off-targeting effects of
8 CRISPR/CAS nucleases and other types of nucleases as well.
9 So he has some expertise in that as well and will continue
10 in that type of research.

11 DR. ZOVEIN: Then how are those measured? Do you
12 guys have access -- I assume you have to do a lot of sort
13 of downstream sequencing of different cell types and stuff
14 to look at some of these either abnormal integration or
15 abnormal cellular uptake. Are those resources readily
16 available or is there some sort of plan to integrate that
17 into some of the work?

18 DR. BYRNES: Yes, our biotechnology core here has
19 deep sequencing apparatus available and people also use
20 commercial services as well.

21 DR. WILSON: I just wanted to add to that, if I
22 might, that in addition to the actual physical sequencing
23 capability with Illumina HiSeq and MiSeq, we also have
24 developed a core bioinformatics group that uses highly
25 parallelized computational analysis to allow for evaluation

1 of next gen sequencing data.

2 DR. CRIPE: Thanks, Dr. Wilson.

3 Other questions from the committee?

4 (No response.)

5 Hearing none, the next thing on the agenda is
6 supposed to be an open public hearing. As prior to this
7 meeting, we didn't have anyone registered to speak. I
8 assume there is no one in the room there that wants to come
9 forward. I guess we can ask for anyone who would like to,
10 might have dialed in from the public that has questions or
11 wants to make a comment.

12 **Agenda Item: Open Public Hearing**

13 DR. KIM: We had no walk-ins for the OPH sessions.

14 DR. CRIPE: Great. So that will then conclude the
15 open session of this meeting. We now move to a closed
16 session. So committee members, don't hang up, but I believe
17 that there will be some shifting of personnel in the room
18 there.

19 DR. KIM: Yes, we are going to be taking a five-
20 minute break so that we can clear the room and allow Dr.
21 Ando to exit the call. Thank you for participating, Dr.
22 Ando.

23 (Whereupon, at 2:20 p.m., the open session was
24 adjourned, to reconvene in closed session at 2:30 p.m.)