

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

JOINT MEETING OF THE ANESTHETIC AND ANALGESIC
DRUG PRODUCTS AND THE DRUG SAFETY AND
RISK MANAGEMENT ADVISORY COMMITTEES
(AADPAC and DSaRM)

Open Session

Wednesday, June 8, 2016

9:29 a.m. to 3:55 p.m.

FDA White Oak Campus
White Oak Conference Center
10903 New Hampshire Avenue
Silver Spring, Maryland

1 **Meeting Roster**

2 DESIGNATED FEDERAL OFFICER (Non-Voting)

3 **Stephanie L. Begansky, PharmD**

4 Division of Advisory Committee and Consultant

5 Management

6 Office of Executive Programs, CDER, FDA

7
8 ANESTHETIC AND ANALGESIC DRUG PRODUCTS ADVISORY

9 COMMITTEE MEMBERS (Voting)

10 **Raeferd E. Brown, Jr., MD, FAAP**

11 (Acting Chairperson)

12 Professor of Anesthesiology and Pediatrics

13 College of Medicine

14 University of Kentucky

15 Lexington, Kentucky

16
17 **Charles W. Emala, Sr., MS, MD**

18 Professor and Vice-Chair for Research

19 Department of Anesthesiology

20 Columbia University College of Physicians &

21 Surgeons

22 New York, New York

1 **Anita Gupta, DO, PharmD**

2 Vice Chair and Associate Professor

3 Division of Pain Medicine & Regional Anesthesiology

4 Department of Anesthesiology

5 Drexel University College of Medicine

6 Philadelphia, Pennsylvania

7

8 **Jennifer G. Higgins, PhD**

9 *(Consumer Representative)*

10 Director of Strategic Planning and Business

11 Development

12 Center for Human Development

13 Springfield, Massachusetts

14

15 **Abigail B. Shoben, PhD**

16 Assistant Professor, Division of Biostatistics

17 College of Public Health

18 The Ohio State University

19 Columbus, Ohio

20

21

22

1 **ANESTHETIC AND ANALGESIC DRUG PRODUCTS ADVISORY**

2 **COMMITTEE MEMBER (Non-Voting)**

3 **W. Joseph Herring, MD, PhD**

4 *(Industry Representative)*

5 Neurologist

6 Executive Director and Section Head

7 Neurology, Clinical Neurosciences

8 Merck Research Laboratories, Merck & Co.

9 North Wales, Pennsylvania

10
11 **DRUG SAFETY AND RISK MANAGEMENT ADVISORY COMMITTEE**

12 **MEMBERS (Voting)**

13 **Kelly Besco, PharmD, FISMP, CPPS**

14 Health-System Medication Safety Coordinator

15 OhioHealth Pharmacy Services

16 Dublin, Ohio

17

18

19

20

21

22

1 **Tobias Gerhard, PhD, RPh**

2 Associate Professor

3 Rutgers University

4 Department of Pharmacy Practice and Administration,

5 Ernest Mario School of Pharmacy

6 New Brunswick, New Jersey

7

8 **Almut Winterstein, RPh, PhD, FISPE**

9 Professor and Interim Chair

10 Pharmaceutical Outcomes and Policy

11 College of Pharmacy, University of Florida

12 Gainesville, Florida

13

14 **TEMPORARY MEMBERS (Voting)**

15 **Melinda Campopiano, MD**

16 Medical Officer and Branch Chief

17 Regulatory Programs

18 Center for Substance Abuse Treatment

19 Substance Abuse and Mental Health Services

20 Administration (SAMHSA)

21 Rockville, Maryland

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Cynthia Chauhan

(Patient Representative)

Wichita, Kansas

Alan D. Kaye, MD, PhD

Professor and Chairman

Department of Anesthesia

Louisiana State University School of Medicine

New Orleans, Louisiana

Mary Ellen McCann, MD

Associate Professor of Anesthesia

Harvard Medical School

Senior Associate in Anesthesia

Boston Children's Hospital

Boston, Massachusetts

1 **Elaine Morrato, DrPH, MPH**

2 Associate Professor

3 Dept. of Health Systems Management and Policy

4 Dean for Public Health Practice

5 Colorado School of Public Health

6 University of Colorado Anschutz Medical Campus

7 Aurora, Colorado

8

9 **Jeanmarie Perrone, MD, FACMT**

10 Professor, Emergency Medicine

11 Director, Division of Medical Toxicology

12 Department of Emergency Medicine

13 Perelman School of Medicine

14 University of Pennsylvania

15 Philadelphia, Pennsylvania

16

17 **Michael Sprintz, DO**

18 Chief Medical Officer

19 Sprintz Center for Pain and Dependency

20 The Woodlands, Texas

21

22

1 **FDA PARTICIPANTS (Non-Voting)**

2 **Sharon Hertz, MD**

3 Director of the Division of Anesthesia, Analgesia
4 and Addiction Products (DAAAP)

5 Office of Drug Evaluation II (ODE-II)

6 Office of New Drugs (OND)

7 CDER, FDA

8

9 **Ellen Fields, MD, MPH**

10 Deputy Director

11 DAAAP, ODE-II, OND, CDER, FDA

12

13 **Judy Staffa, PhD, RPh**

14 Acting Associate Director for Public Health

15 Initiatives

16 Office of Surveillance and Epidemiology (OSE)

17 CDER, FDA

18

19

20

21

22

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Raeford Brown, Jr., MD, FAAP	11
5	Conflict of Interest Statement	
6	Stephanie Begansky, PharmD	16
7	FDA Introductory Remarks	
8	Ellen Fields, MD, MPH	20
9	Corrections to FDA In Vitro Abuse	
10	Deterrent Open Session Backgrounder	
11	Benjamin Stevens, PhD, MPH	26
12	Applicant Presentations - Pfizer, Inc.	
13	ALO-02 Abuse Deterrence Program Introduction	
14	Sean Donevan, PhD	30
15	ALO-02 Clinical Pharmacology	
16	Bimal Malhotra, PhD	38
17	ALO-02 Efficacy and Safety	
18	Gernot Wolfram, MD	42
19	ALO-02-Abuse Deterrent Program In Vitro	
20	Sean Donevan, PhD	46
21	ALO-02 -Abuse Deterrence Program	
22	Human PK/PD	

1	Carl Roland, PharmD, MS	63
2	Conclusions	
3	Sean Donevan, PhD	75
4	Clarifying Questions	77
5	FDA Presentations	
6	Drug Utilization Patterns for	
7	Oxycodone ER and Other ER/LA Opioid	
8	Analgesics 2011-2015	
9	Joann Lee, Pharm D	93
10	Troxyc ER (oxycodone HCL and naltrexone HCL)	
11	Extended-Release Capsules for Oral Use	
12	Labeling Section 9: Drug Abuse	
13	Elizabeth Kilgore, MD	97
14	Clarifying Questions	107
15	Open Public Hearing	128
16	Clarifying Questions (continued)	154
17	Charge to the Committee	
18	Sharon Hertz, MD	194
19	Questions to the Committee and Discussion	195
20	Adjournment	256
21		
22		

P R O C E E D I N G S

(9:29 a.m.)

Call to Order

Introduction of Committee

1 DR. BROWN: Good morning. I would first
2 like to remind everyone to please silence your
3 cell phones, smartphones, and any other devices if
4 you've not already done so.

5 I'd also like to identify the FDA press
6 contact who is Michael Felberbaum who is waving in
7 the back.

8 My name is Rae Brown. I'm the acting
9 chairman for today's meeting. I will now call the
10 Joint Meeting of the Anesthetic and Analgesic Drug
11 Products Advisory Committee and Drug Safety and
12 Risk Management Advisory Committee to order.

13 We'll start by going around the table and
14 introduce ourselves. We'll start with the FDA to
15 my left and go around the table.

16 DR. STAFFA: Good morning. My name is Judy
17 Staffa. I'm the acting associate director for
18 public health initiatives in the Office of
19
20
21
22

1 Surveillance and Epidemiology in CDER.

2 DR. HERTZ: Sharon Hertz, director, Division
3 of Anesthesia, Analgesia, and Addiction Products in
4 CDER.

5 DR. FIELDS: Ellen Fields, deputy director
6 in the same division.

7 DR. GUPTA: Dr. Anita Gupta, I'm vice chair
8 of anesthesiology. I'm a pharmacist at Drexel
9 University College of Medicine in Philadelphia.

10 DR. BESCO: Good morning. My name is Kelly
11 Besco. I'm a pharmacist and health systems
12 medication safety officer for the Ohio Health
13 Hospital System in Columbus, Ohio.

14 DR. WINTERSTEIN: Good morning. I'm Almut
15 Winterstein. I'm professor and chair for
16 pharmaceutical outcomes and policy at the
17 University of Florida.

18 DR. MORRATO: Good morning. This is Elaine
19 Morrato. I'm in the Department of Health Systems
20 Management and Policy and associate dean for public
21 health practice at the Colorado School of Public
22 Health, University of Colorado.

1 DR. SHOBEEN: I'm Abby Shoben. I'm an
2 assistant professor of biostatistics at the Ohio
3 State University.

4 DR. BEGANSKY: Stephanie Begansky. I'm the
5 designated federal officer for today's meeting.

6 DR. BROWN: Rae Brown. I'm a pediatric
7 anesthesiologist and professor of anesthesiology
8 and pediatrics at the University of Kentucky.

9 DR. KAYE: Alan Kaye. I'm a professor,
10 program director, and chairman of anesthesia at LSU
11 School of Medicine in New Orleans.

12 DR. EMALA: Charles Emala. I'm professor of
13 anesthesiology, vice chair for research, Columbia
14 University, New York.

15 DR. McCANN: Mary Ellen McCann. I'm a
16 pediatric anesthesiologist at Boston Children's
17 Hospital and associate professor at Harvard Medical
18 School.

19 DR. CAMPOPIANO: Melinda Campopiano. I'm a
20 family physician and addiction medication
21 specialist, and I'm a medical officer and branch
22 chief for regulatory programs in the division of

1 pharmacologic therapies at the Substance Abuse and
2 Mental Health Services Administration.

3 DR. SPRINTZ: Hi, Michael Sprintz. I'm an
4 anesthesiologist, pain medicine specialist and
5 addiction medicine specialist, chief medical
6 officer of the Sprintz Center for Pain and
7 Dependency.

8 DR. PERRONE: Jeanmarie Perrone. I'm an
9 emergency physician, and the director of medical
10 toxicology, and a professor of emergency medicine
11 at the University of Pennsylvania School of
12 Medicine.

13 DR. HIGGINS: Jennifer Higgins, consumer
14 representative.

15 DR. GERHARD: Tobias Gerhard,
16 pharmacoepidemiologist and associate professor of
17 pharmacy at Rutgers University.

18 DR. HERRING: Hi. Joe Herring. I'm a
19 clinical neurologist employed at Merck in the
20 clinical nerve science group and the industry
21 representative.

22 DR. BROWN: Welcome to the committee. For

1 topics such as those being discussed at today's
2 meeting, there are often a variety of opinions,
3 some of which are quite strongly held. Our goal is
4 that today's meeting will be a fair and open forum
5 for discussion of these issues and that individuals
6 can express their views without interruption.

7 Thus as a gentle reminder, individuals will
8 be allowed to speak into the record only if
9 recognized by the chairperson. We look forward to
10 a productive meeting.

11 In the spirit of the Federal Advisory
12 Committee Act and the Government in the Sunshine
13 Act, we ask that the advisory committee members
14 take care that their conversations about the topic
15 at hand take place in the open forum of the
16 meeting.

17 We are aware that members of the media are
18 anxious to speak with the FDA about these
19 proceedings. However, FDA will refrain from
20 discussing the details of this meeting with the
21 media until its conclusion.

22 Also, the committee is reminded to please

1 refrain from discussing the meeting topic during
2 breaks or lunch. Thank you.

3 Now, I'll pass it to Lieutenant Commander
4 Stephanie Begansky, who will read the conflict of
5 interest statement.

6 **Conflict of Interest Statement**

7 DR. BEGANSKY: Thank you.

8 Good morning, everyone. The Food and Drug
9 Administration is convening today's joint meeting
10 of the Anesthetic and Analgesic Drug Products
11 advisory committee and the Drug Safety and Risk
12 Management Advisory Committee under the authority
13 of the Federal Advisory Committee Act of 1972.

14 With the exception of the industry
15 representative, all members and temporary voting
16 members of these committees are special government
17 employees or regular federal employees from other
18 agencies and are subject to federal conflict of
19 interest laws and regulations.

20 The following information on the status of
21 these committees' compliance with federal ethics
22 and conflict of interest laws covered by but not

1 limited to those found at 18 U.S.C. Section 208 is
2 being provided to participants in today's meeting
3 and to the public.

4 FDA has determined that members and
5 temporary voting members of these committees are in
6 compliance with federal ethics and conflict of
7 interest laws.

8 Under 18 U.S.C. Section 208, Congress has
9 authorized FDA to grant waivers to special
10 government employees and regular federal employees
11 who have potential financial conflicts when it is
12 determined that the agency's need for a special
13 government employee's services outweighs his or her
14 potential financial conflict of interest or when
15 the interest of a regular federal employee is not
16 so substantial as to be deemed likely to affect the
17 integrity of the services which the government may
18 expect from the employee.

19 Related to the discussions of today's
20 meeting, members and temporary voting members of
21 these committees have been screened for potential
22 financial conflicts of interest of their own as

1 well as those imputed to them, including those of
2 their spouses or minor children and for purposes of
3 18 U.S.C. Section 208, their employers. These
4 interests may include investments, consulting,
5 expert witness testimony, contracts, grants,
6 CRADAs, teaching, writing, speaking, patents and
7 royalties, and primary employment.

8 Today's agenda involves the discussion of
9 new drug application 207621, oxycodone
10 hydrochloride and naltrexone hydrochloride
11 extended-release capsules submitted by Pfizer with
12 the proposed indication of management of pain
13 severe enough to require daily around-the-clock
14 long-term opioid treatment and for which
15 alternative treatment options are inadequate.

16 The product is an extended-release
17 formulation intended to have abuse-deterrent
18 properties based on the presence of naltrexone, an
19 opioid antagonist, in the formulation. The
20 committees will be asked to discuss whether the
21 data submitted by the applicant are sufficient to
22 support labeling of the product with the properties

1 expected to deter abuse.

2 This is a particular matters meeting during
3 which specific matters relating to Pfizer's NDA
4 will be discussed. Based on the agenda for today's
5 meeting and all financial interests reported by the
6 committee members and temporary voting members, no
7 conflict of interest waivers have been issued in
8 connection with this meeting.

9 To ensure transparency, we encourage all
10 committee members and temporary voting members to
11 disclose any public statements that they have made
12 concerning the product at issue.

13 With respect to FDA's invited industry
14 representative, we would like to disclose that
15 Dr. Joseph Herring is participating in this meeting
16 as a nonvoting industry representative acting on
17 behalf of regulated industry. Dr. Herring's role
18 at this meeting is to represent industry in general
19 and not any particular company. Dr. Herring is
20 employed by Merck.

21 We would like to remind members and
22 temporary voting members that if the discussions

1 involve any other products or firms not already on
2 the agenda for which an FDA participant has a
3 personal or imputed financial interest, the
4 participants need to exclude themselves from such
5 involvement and their exclusion will be noted for
6 the record.

7 FDA encourages all other participants to
8 advise the committees of any financial
9 relationships that they may have with the firm at
10 issue. Thank you.

11 DR. BROWN: We will now proceed with the
12 FDA's introductory remarks from Dr. Ellen Fields.

13 **FDA Introductory Remarks - Ellen Fields**

14 DR. FIELDS: Good morning, Dr. Brown,
15 members of the Anesthesia and Analgesia Drugs
16 Advisory Committee, members of the Drug Safety and
17 Risk Management Advisory Committee, and invited
18 guests. Thank you for joining us today.

19 For many of you, this is your second day
20 with us, and we sincerely thank all of you for
21 spending your valuable time at this meeting. To
22 those who were here yesterday, my comments may

1 sound very familiar, but today, we're here to
2 discuss an application from Pfizer for a new
3 extended-release capsule formulation of oxycodone
4 hydrochloride and naltrexone with the proposed
5 trade name Troxyca ER.

6 If approved, Troxyca ER will have the same
7 indication as the already approved extended-release
8 long-acting opioid analgesics, that is, the
9 management of pain severe enough to require daily
10 around-the-clock long-term opioid treatment and for
11 which alternative treatment options are inadequate.

12 Troxyca ER has been formulated with
13 naltrexone, an opioid antagonist, sequestered
14 within small pellets that are coated with
15 oxycodone. The presence of naltrexone is intended
16 to provide abuse-deterrent properties when the
17 product is manipulated.

18 With oral administration of intact capsules
19 or pellets sprinkled on applesauce, the intention
20 is there is little or no exposure to naltrexone.
21 However, physical and/or chemical manipulation of
22 the pellets is intended to release naltrexone,

1 which will antagonize the effects of oxycodone and
2 block its reinforcing effect by the oral intranasal
3 and intravenous routes of administration.

4 During this meeting, you will hear
5 presentations from Pfizer on the development
6 program for Troxyca ER, the in vitro physical and
7 chemical manipulation studies and the human abuse
8 potential studies they conducted to demonstrate
9 abuse-deterrent properties.

10 FDA will present drug utilization for
11 oxycodone and other extended-release opioids as
12 well as the proposed labeling regarding the
13 in vitro and in vivo abuse-deterrent studies that
14 were conducted by the applicant.

15 We are aware of the immense public health
16 problem that exists in the United States today from
17 the abuse of prescription opioids. As part of a
18 larger effort across HHS, we at FDA have encouraged
19 drug companies to develop novel intervention to
20 reduce or, when possible, prevent abuse.

21 To this end, we have supported the
22 development of novel formulations through multiple

1 interactions with both the pharmaceutical industry
2 and academic community. And in April 2015, we
3 issued the guidance for industry, abuse-deterrent
4 opioids, which explains the agency's current
5 thinking regarding studies that should be conducted
6 to demonstrate that a given formulation has abuse-
7 deterrent properties. It makes recommendations
8 about how those studies should be performed and
9 evaluated and discusses how to describe those
10 studies and their implications in product labeling.

11 In response to the growing epidemic of
12 opioid abuse, dependence, and overdose in the
13 United States, the commissioner announced an opioid
14 action plan in February of this year to take steps
15 toward reducing the impact of opioid abuse on
16 public health.

17 As part of this plan, the agency has
18 committed to work more closely with its advisory
19 committees before making critical product and
20 labeling decisions. And as you know, we are
21 calling on all of you more often to fulfill this
22 goal.

1 As we work to make opioid analgesics less
2 desirable targets for abuse, we cannot forget that
3 the underlying purpose of these products is the
4 management of pain in patients for which other
5 alternatives are inadequate. And opioid analgesics
6 remain an important component of pain management.

7 The greater amount of opioid available in
8 many extended-release opioid analgesics relative to
9 immediate-release products is associated with
10 greater risk for overdose and death, but also makes
11 these a desirable target for those seeking to abuse
12 opioids. However, immediate-release opioids are
13 also abused, and the development of abuse-deterrent
14 immediate-release formulations that can reduce
15 abuse is also an important public health goal.

16 While the most common route of abuse for
17 opioids is oral, the risk for infection and
18 overdose associated with intravenous and nasal
19 routes makes these routes of abuse important
20 targets for abuse-deterrent properties.

21 With every new product, we weigh the risk
22 and benefits. With new abuse-deterrent

1 formulations, we are also watchful for any evidence
2 that the product results in a new or increased
3 safety risk for patients who take the product as
4 directed as discussed in an advisory committee last
5 September, and for any evidence that by deterring
6 abuse by one route of administration, the new
7 product may shift abuse to a riskier route of
8 administration; for example, by deterring oral
9 abuse but inadvertently making nasal or intravenous
10 abuse more attractive.

11 There are currently six approved extended-
12 release opioid products with abuse-deterrent
13 properties, and we are watching the postmarketing
14 data closely for any signs of unintended problems
15 associated with these products.

16 Today, you will be asked to discuss whether
17 the applicant has demonstrated abuse-deterrent
18 properties for their product that would support
19 labeling the routes of abuse for which abuse-
20 deterrent properties have been demonstrated, and
21 whether Troxyca ER should be approved.

22 These are clearly difficult questions for

1 which there are no easy answers. We are asking
2 that you provide your expertise, your experience,
3 and your best insights in order to help us find a
4 reasonable and responsible path forward. Your
5 advice and recommendations will be essential in
6 assisting us with addressing this complex and
7 critical public health concern. We are grateful
8 that you have agreed to join us and look forward to
9 this important discussion.

10 Now I just want to introduce Dr. Ben
11 Stevens, who will go over some corrections that
12 we'd like to make to the agency's open session
13 backgrounder. There's a copy of the slides that
14 have been placed in the packet of slides for today,
15 and he will go over it. It will just take a couple
16 of minutes.

17 **Presentation - Benjamin Stevens**

18 DR. STEVENS: Good morning. So as Ellen
19 noted, I'm just going to go through a couple of
20 corrections that we're making to the FDA's section
21 of the open session backgrounder related to the
22 in vitro abuse-deterrent studies and results that

1 we presented in the document.

2 So I'll just go through line by line,
3 starting with the top left-hand corner for the open
4 session backgrounder, page 52 in the errata. The
5 text from the FDA states, section 1(b), first
6 paragraph, second sentence, "The formulation was
7 defeated in the following solvents when extracted
8 for 12 hours or longer using intact pellets; common
9 solvents, A, G, K, and N."

10 Solvent K should be removed, so it should
11 just be A, G and N, and I should mention at this
12 point in time that the vast majority of these
13 corrections are associated with errors in the
14 coding scheme that we used.

15 For the second line, open session
16 backgrounder, page 52 in the errata, section 1(b),
17 first paragraph, third sentence, "When common
18 solvent K was used, 90 percent of the oxycodone was
19 extracted in three hours or more," this should be
20 six hours or more.

21 Page 53 in the errata, section 1(b), second
22 paragraph, "When crushed pellets were used for

1 extraction study, no oxycodone could be extracted
2 in common solvents A and G." This sentence should
3 be removed.

4 For the open session backgrounder page 53,
5 section 1(b), second paragraph, "When common
6 solvents I were used, about 40 to 50 percent of
7 oxycodone in 30 minutes or less was isolated," this
8 should be solvent O instead of solvent I.

9 On to the second slide, open session
10 backgrounder, page 52, text under section 1(b),
11 second paragraph, "Under stress conditions, 80 to
12 90 percent of the oxycodone was isolated within one
13 to four hours of extraction time using intact
14 pellets. With crushed pellets, no oxycodone was
15 isolated." This last sentence in this section,
16 "With crushed pellets, no oxycodone was isolated,"
17 should be deleted.

18 Open session backgrounder, page 53, section
19 1(b), paragraph 4, "Common solvents L to N are
20 particularly effective at extracting oxycodone from
21 intact pellets." This should be changed from L to
22 N into K to M.

1 Finally, open session backgrounder errata in
2 the conclusion in the backgrounder page 54, part
3 1(d), second paragraph, "Common solvents B to E
4 appear to be capable of removing naltrexone
5 selectively from crushed ALO-02." This sentence
6 should be deleted, so the entire sentence should be
7 removed.

8 So at this point in time, I'll turn it over
9 to the applicant for their presentation.

10 DR. BROWN: Both the Food and Drug
11 Administration and the public believe in a
12 transparent process for information gathering and
13 decision-making. To ensure such transparency at
14 the advisory committee meeting, the FDA believes it
15 is important to understand the context of an
16 individual's presentation.

17 For this reason, FDA encourages all
18 participants, including the applicant's nonemployee
19 presenters, to advise the committee of any
20 financial relationships that they may have with the
21 applicant such as consulting fees, travel expenses,
22 honoraria or interest in a sponsor, including

1 equity interest and those based upon the outcome of
2 this meeting.

3 Likewise, FDA encourages you at the
4 beginning of your presentation to advise the
5 committee if you do not have any such financial
6 relationships. If you choose not to address this
7 issue of financial relationships at the beginning
8 of your presentation, it will not preclude you from
9 speaking.

10 **Applicant Presentation - Sean Donevan**

11 DR. DONEVAN: Good morning. My name is Sean
12 Donevan, and I'm the medical affairs lead for the
13 opioid program at Pfizer. I'm pleased to be here
14 today to present ALO-02 to the advisory committee,
15 to the FDA and to the public. And just for the
16 sake of clarity, we'll be referring to Troxyca as
17 ALO-02 in this presentation. I just wanted to make
18 that clear. Thank you.

19 It is well recognized that opioids are a
20 powerful pain medication and for some patients are
21 an essential component of their treatment approach.
22 However, opioids are also associated with serious

1 public health problems such as abuse, addiction,
2 and deaths from opioid overdose.

3 Abuse-deterrent opioids are an important
4 part of a multifaceted, multi-stakeholder approach
5 to address opioid abuse. The objective of abuse-
6 deterrent opioids is to provide pain relief for
7 patients when an opioid is necessary but also to
8 reduce the consequences associated with abuse or
9 misuse.

10 The objective of today's meeting is to
11 determine if our abuse-deterrent program for ALO-02
12 supports its labeling as an abuse-deterrent opioid.

13 ALO-02 is a pellet in capsule formulation.
14 Each pellet consists of a core of sequestered
15 naltrexone that is surrounded by a layer of
16 extended-release oxycodone. Naltrexone is an
17 antagonist of opioid receptors and will block the
18 effects of opioid agonists such as oxycodone, and
19 the ratio of naltrexone to oxycodone in the pellets
20 is 12 percent by weight. The different dosage
21 strengths are developed by increasing the amount of
22 these common pellets in each capsule.

1 When the product is taken as directed and
2 swallowed intact, the naltrexone is intended to
3 remain sequestered, and the patient only
4 experiences the intended effects of the release of
5 oxycodone. When the product is crushed or
6 manipulated as an abuser might do, then naltrexone
7 is released and would antagonize the effects of
8 oxycodone.

9 This is the same naltrexone technology that
10 is in Pfizer's Embeda. Embeda contains extended-
11 release morphine and sequestered naltrexone, and it
12 received abuse-deterrent labeling in October of
13 2014.

14 It's important to highlight that with this
15 technology, there are no visual cues to the abuser
16 that they have defeated the formulation. The only
17 way abusers can determine if they have defeated the
18 formulation is to try it on themselves.

19 An analysis of abuser comments about Embeda
20 on chatroom internet sites indicate that these
21 abusers are fearful of withdrawal from the
22 naltrexone in Embeda, and this fear alone deters

1 some from experimenting from Embeda. We would
2 expect a similar barrier to experimentation with
3 ALO-02.

4 This outlines the agenda for Pfizer's
5 presentation this morning. I will first provide a
6 brief introduction and then turn the podium over to
7 my colleagues who will present key findings from
8 the ALO-02 development program with an emphasis on
9 our abuse-deterrent program.

10 In addition to the Pfizer presenters, I'd
11 also like to acknowledge the experts who are
12 attending the advisory committee on Pfizer's
13 behalf. This includes Dr. Edward Cone, Dr. Richard
14 Rauck, Dr. Richard Dart and Dr. Edward Sellers.
15 Their affiliations and areas of expertise are
16 listed on this slide.

17 The NDA for ALO-02 was submitted to the FDA
18 in December of 2014 as a 505(b)(2) application. We
19 referenced Roxicodone for the safety and efficacy
20 of oxycodone in ALO-02 and Revia for the safety of
21 naltrexone.

22 In today's presentation, we will show you

1 that the Category 1 data from our in vitro
2 laboratory manipulation and extraction studies and
3 the Category 2 and Category 3 data from our
4 clinical abuse potential studies supports abuse-
5 deterrent labeling. Likewise, we will show that
6 our phase 3 program confirmed the safety and
7 efficacy of ALO-02 in chronic pain patients.

8 In their guidance, the FDA identified seven
9 major categories of abuse-deterrent opioids. The
10 majority of currently available abuse-deterrent
11 opioids are physical chemical barrier approaches
12 which are difficult to manipulate, resist
13 extraction of the opioid, and often form viscous
14 gels when added to aqueous solvents.

15 ALO-02 is an example of an
16 agonist/antagonist combination approach, and this
17 is the first abuse-deterrent ER oxycodone to use a
18 sequestered naltrexone technology. Other currently
19 available extended-release oxycodone abuse-
20 deterrent opioids use a physical chemical barrier
21 approach.

22 I show this slide to indicate the various

1 routes of abuse that opioid abusers will use to get
2 their high. The predominant route of abuse is the
3 oral route of abuse, especially for immediate-
4 release opioids in which the abuser will either
5 swallow multiple pills intact or crush or chew the
6 tablet and then swallow either alone or together
7 with water or alcohol.

8 On the other hand, there are other non-oral
9 routes of abuse. Non-oral routes of abuse can be
10 quite common with extended-release opioids.
11 Furthermore, abusers will often start by abusing by
12 the oral route and then switch to non-oral routes
13 of administration.

14 Not only are these non-oral routes of
15 administration common with ER opioids, but these
16 routes of abuse are also more dangerous. Studies
17 have shown that the relative risk of serious health
18 consequences, including death, are higher with non-
19 oral routes of abuse compared to oral routes of
20 abuse.

21 Abusers will often crush and then snort the
22 crushed powder. Alternatively, an abuser might

1 take the crushed or intact tablet or capsule, add
2 it to small volumes of water, heat the solution,
3 and then withdraw the liquid into a syringe, and
4 inject intravenously.

5 Finally, a fourth route of abuse is smoking.
6 This route of abuse is relatively infrequent with
7 extended-release opioids, but it does occur.

8 To understand the ability of an abuse-
9 deterrent opioid to deter abuse by these different
10 routes of administration, the FDA in their guidance
11 has provided a testing approach that includes a
12 combination of in vitro laboratory-based studies
13 and clinical studies in recreational opioid
14 abusers. This guidance is outlined in the next
15 slide.

16 The FDA guidance identified four categories
17 of studies that can be done to investigate the
18 abuse-deterrent properties of an abuse-deterrent
19 opioid. Three of these categories can be done
20 prior to approval, and these can support abuse-
21 deterrent labeling while Category 4 studies can
22 only be initiated after the approval and launch of

1 the drug.

2 Category 1 studies are the in vitro
3 laboratory manipulation and extraction studies.
4 Category 2 studies evaluate the pharmacokinetic
5 properties of the manipulated formulation, and
6 Category 3 studies evaluate the abuse potential of
7 the compound in recreational drug abusers.

8 For opioids with abuse-deterrent labels, the
9 results of these Category 1, 2, and 3 studies are
10 described within section 9.2 of the label along
11 with a summary of the expected reductions in abuse
12 by the different routes of administration.

13 This slide describes the development program
14 for ALO-02. The development program included
15 clinical pharmacology studies to describe the
16 bioequivalence of ALO-02 to oxycodone and other
17 important pharmacokinetic properties of ALO-02.

18 The phase 3 program demonstrated the
19 efficacy and safety of ALO-02 in chronic pain and
20 consisted of two studies. Study 1002 was a 12-week
21 efficacy study in chronic low back pain while study
22 1001 was a long-term open-label study in chronic

1 non-cancer pain patients that assessed the safety
2 as well as the effectiveness of ALO-02 with up to
3 12 months of treatment.

4 Finally, and the reason why we're here
5 today, is our abuse-deterrent program. This
6 program consisted of in vitro laboratory studies as
7 well as three abuse potential studies that
8 demonstrated simultaneous release of oxycodone and
9 naltrexone with crushed ALO-02 administration.
10 They also demonstrated a reduction in abuse
11 potential by the oral and intranasal route as well
12 as the intravenous route with crushed ALO-02 in
13 recreational drug abusers.

14 With that as a general introduction, we will
15 now discuss the development program for ALO-02, and
16 with that, I will turn to podium over to
17 Dr. Malhotra, who will discuss the key findings
18 from the clinical pharmacology program.

19 **Applicant Presentation - Bimal Malhotra**

20 DR. MALHOTRA: Thank you, Dr. Donevan.

21 It is important to assure that ALO-02 can
22 deliver therapeutic amounts of oxycodone equivalent

1 to its immediate-release reference formulation at
2 the same dose while keeping naltrexone sequestered.
3 To demonstrate this, a relative bioavailability
4 study compared 40 milligrams of ALO-02, in blue
5 diamonds, with 20-milligram oxycodone in IR
6 reference, in orange squares.

7 Subjects were dosed without naltrexone
8 block, hence 20-milligram Roxicodone was given.
9 The results of this study showed that oxycodone
10 bioavailability from ALO-02 was equivalent to
11 Roxicodone as assessed by dose normalized AUC
12 ratios falling within 80 to 125 percent.

13 Oxycodone half-life was approximately seven
14 hours, which was prolonged, which assures that ALO-
15 02 can be given twice daily.

16 Of importance, naltrexone concentrations
17 were not detectable in any samples after ALO-02
18 dosing. That is, they were below the quantitation
19 limit of 4 picograms per mL.

20 Now let's focus on the unique extended-
21 release profile of ALO-02, in particular,
22 parameters that are correlates of abuse potential.

1 Tmax for ALO-02 was delayed to 12 hours compared
2 with one hour for oxycodone, and Cmax for ALO-02
3 was markedly reduced to 33 percent of that for
4 oxycodone.

5 This very slow and delayed absorption of
6 oxycodone from intact ALO-02 is likely to result in
7 less drug liking even when the formulation is not
8 manipulated but intact.

9 In the food effect study, 40-milligram doses
10 of ALO-02 without a naltrexone block were given as
11 capsules with a high-fat meal, shown in blue
12 squares, or in the fasted state, shown in yellow
13 circles, and also as pellets sprinkled in
14 applesauce, shown in green triangles. For each
15 treatment, the PK profiles of oxycodone were nearly
16 superimposable.

17 Cmax and AUC values included bioequivalence
18 between fed and fasted and sprinkled in applesauce
19 versus fasted treatments. Naltrexone
20 concentrations are not shown on this profile, but
21 were undetectable in all of the samples in each
22 treatment.

1 Thus, ALO-02 can be taken without regards to
2 meals. For patients who have difficulty swallowing
3 intact capsules, the pellets may be sprinkled on
4 applesauce and taken without chewing.

5 Another study was conducted to assess
6 ethanol interaction with ALO-02 20-milligram doses
7 under a naltrexone block. In this study, ALO-02
8 was given with 20 percent ethanol, shown in green
9 squares, with 40 percent ethanol shown in purple
10 triangles, or with water, shown in blue circles.

11 As you can see, there is no effect of taking
12 ALO-02 with 20 percent ethanol on oxycodone PK.
13 The 90 percent confidence intervals for Cmax and
14 AUC ratios were within 80 to 125 percent.

15 When it was taken with 40 percent ethanol,
16 there was a 37 percent increase in Cmax and 13
17 percent increase in AUC. This increase was not
18 considered to be an overexposure to oxycodone,
19 especially when you compare to exposures following
20 the same dose of an IR formulation, which I've
21 shown in orange diamonds from a different study.

22 Based on the delayed Tmax and considerably

1 lower Cmax, ALO-02 taken with 40 percent ethanol is
2 still maintaining an extended-release profile of
3 oxycodone. Furthermore, there is no indication of
4 dose dumping when ALO-02 is taken with either 20 or
5 40 percent ethanol.

6 Now I'd like to invite Dr. Gernot Wolfram to
7 the podium to share the efficacy and safety
8 results.

9 **Applicant Presentation - Gernot Wolfram**

10 DR. WOLFRAM: Good morning. The clinical
11 program for ALO-02 included the efficacy study 1002
12 and the 12-month safety study 1001. Here, you can
13 see the design of the efficacy study 1002.

14 Patients with chronic low back pain of at
15 least three months, pain score of 5 or more and who
16 needed a continuous around-the-clock analgesic for
17 an extended period of time were converted and
18 titrated to effect with doses between 10 to 80
19 milligrams of ALO-02 twice daily. Up to 3 grams
20 acetaminophen per day were allowed as rescue
21 medication.

22 Of 663 screened patients, 410 entered the

1 open-label titration phase, where all subjects
2 received active ALO-02 treatment. At the end of
3 the open-label titration phase, patients tolerating
4 ALO-02 with pain scores of 4 or lower were then
5 randomized to either continue on active ALO-02 or
6 placebo treatment.

7 To protect the integrity of the placebo-
8 controlled group, patients were tapered off
9 treatment over two weeks in a blinded manner
10 starting at randomization.

11 One hundred thirty-four patients were
12 randomized into the placebo group and 147 patients
13 into the ALO-02 treatment group. After 12 weeks,
14 patients were tapered off study treatment during a
15 two-week follow-up period, at which doses in the
16 active form of the double-blind phase were 65
17 milligrams per day of ALO-02.

18 A significant reduction of pain over 12
19 weeks was observed at the primary study endpoint.
20 Baseline pain scores of around 7 at screening
21 decreased to around 3 points at the end of the
22 titration period. From the time of randomization

1 until the end of the 12-week control period of the
2 study, pain scores were 4.3 and 3.6 for placebo and
3 ALO-02, respectively. The treatment difference
4 between both groups, as you can see, was
5 statistically significant, establishing efficacy of
6 ALO-02.

7 The observed adverse events were consistent
8 with known opioid side effects. Here, you can see
9 the adverse event profile for ALO-02 during the
10 12-week study with the AEs ordered according to the
11 incidence of occurrence during the open-label
12 titration phase.

13 Sixty-three percent of subjects experienced
14 an adverse event during the open-label titration
15 phase and 56 or 57 percent during the double-blind
16 phase for placebo or ALO-02. Nausea, constipation
17 and vomiting were the leading AEs, which is the
18 typical profile for opioids.

19 Study 1001 was a 12-month multicenter,
20 open-label, single-arm safety study to establish
21 the safety for ALO-02. The study was conducted in
22 patients with chronic non-cancer pain of at least

1 three months' duration and a pain score of 5 or
2 more.

3 Again, patients needed to be on a continuous
4 around-the-clock opioid analgesic for an extended
5 period of time. Patients are then converted and
6 titrated to affect these doses between 10 and 80
7 milligram ALO-02 twice daily and up to 2 grams of
8 acetaminophen per day were allowed as rescue
9 medication.

10 Three hundred and ninety-five patients
11 qualified for inclusion into the study. Patients
12 were titrated to effect and treatment with ALO-02,
13 adjusted based on inadequate analgesia, defined as
14 pain of greater than 4.

15 At the end of the 12-month open-label phase,
16 patients were tapered off during a two-week post-
17 treatment period, at which doses during the
18 maintenance phase were around 63 milligrams per day
19 of ALO-02.

20 A significant reduction of pain was observed
21 over a prolonged time of 12 months. Baseline
22 scores for average pain in yellow triangles at

1 screening of around 6 points decreased to around 4
2 points about four weeks into the treatment. A
3 similar pattern was observed for worst pain scores,
4 as seen in red squares.

5 This level of pain reduction was maintained
6 over the entire 12-month period of the study, and
7 changes in pain scores from baseline were
8 statistically significant at all visits.

9 Again, the observed adverse events were
10 consistent with known opioid side effects. You can
11 see the adverse event profile for ALO-02 during the
12 12-month study with AEs ordered according to the
13 incidence of occurrence. Sixty-six percent of
14 subjects experienced an adverse event, and again,
15 nausea, constipation and vomiting were the leading
16 AEs which is again a typical profile for opioids.

17 Thank you, and I'm handing back to Sean
18 Donevan, who will lead you through the in vitro
19 part of the abuse-deterrent program.

20 Dr. Donevan.

21 **Applicant Presentation - Sean Donevan**

22 DR. DONEVAN: Thank you, Dr. Wolfram.

1 As I described earlier and outlined in this
2 slide, our development program for ALO-02 also
3 included a comprehensive package of studies to
4 evaluate the abuse-deterrent features of ALO-02. I
5 will discuss data from our Category 1 in vitro
6 manipulation and extraction studies, and then
7 Dr. Roland will describe the Category 2 and
8 Category 3 from the oral, intranasal and
9 intravenous human abuse potential studies that were
10 conducted in recreational drug users.

11 The design of the in vitro program for
12 ALO-02 was developed based on an understanding of
13 the formulation, and the program is different from
14 what one would do from a typical physical chemical
15 barrier abuse-deterrent opioid.

16 Naltrexone is intended to be released from
17 ALO-02 with crushing to counteract the effects of
18 oxycodone. Thus extensive crushing and
19 manipulation studies that are characteristic of the
20 physical chemical barrier platforms are not
21 relevant to ALO-02.

22 To address the IV routes of abuse, we

1 examined extraction of oxycodone and naltrexone is
2 small-volume extraction studies. Because the
3 formulation does not contain excipients that form a
4 viscous gel, we did not assess syringeability and
5 injectability.

6 The abuse-deterrent features of ALO-02 rely
7 on the slow extended release of oxycodone when
8 ALO-02 is taken intact and the release of
9 naltrexone together with the oxycodone when ALO-02
10 is crushed.

11 The key objective of the in vitro program
12 was to explore the ability to defeat or compromise
13 the formulation. We sought to identify those
14 conditions that would disrupt the extended-release
15 properties of ALO-02 and allow for the rapid and
16 selective extraction of oxycodone in the absence of
17 naltrexone from either crushed or intact ALO-02.

18 We found that with crushed ALO-02 pellets,
19 naltrexone was released together with oxycodone in
20 30 of 31 solvents. We did identify some conditions
21 in solvents with intact pellets that resulted in
22 the disruption of the extended-release properties

1 of the formulation. However, in most conditions
2 and most solvents, there was only a brief window of
3 time before there was significant extraction of
4 naltrexone, which would then counteract the effects
5 of oxycodone.

6 Finally, as I mentioned, ALO-02 has no
7 visual cues that would indicate to an abuser that
8 they have been able to successfully isolate
9 oxycodone. This means that an abuser would have to
10 test it on themselves in a trial and error
11 approach. This would add a further barrier to
12 developing successful approaches to defeat or
13 compromise the formulation.

14 We designed the in vitro laboratory study
15 program with these properties of ALO-02 in mind.
16 The program was conducted by an independent outside
17 laboratory that collected over 5,000 individual
18 data points across all studies. We evaluated up to
19 34 different solvents in a variety of different
20 conditions from simple to more complex. These
21 solvents had different attributes, including
22 polarity, ionic strength and pH, and some of these

1 features are described in the right-hand side of
2 this schematic.

3 There were different organic solvents that
4 included both ingestible and non-ingestible
5 solvents. It also included readily available
6 household solvents, solvents with different pHs as
7 well as combinations of different solvents.

8 The in vitro program explored the abuse-
9 deterrent features of ALO-02 by three of the four
10 major routes of administration, including oral and
11 the intravenous route as well as by smoking. To
12 investigate abuse deterrence by the oral route, we
13 conducted large-volume extraction studies with
14 intact and crushed pellets in a variety of
15 different solvents and with different conditions
16 from simple to more complex.

17 The studies to address abuse by the IV route
18 assess extraction of oxycodone and naltrexone in
19 some volumes of different solvents using methods an
20 abuser would do typically to prepare his opioid for
21 abuse.

22 Finally, to assess deterrence to smoking,

1 volatiliziation studies were carried out with intact
2 and crushed pellets to determine the ability to
3 vaporize oxycodone.

4 The intranasal abuse potential studies with
5 crushed ALO-02 demonstrate that the release and
6 simultaneous absorption of oxycodone and naltrexone
7 with insufflation as well as a reduction in the
8 abuse potential endpoints that Dr. Roland will
9 present shortly.

10 I will first share with you the large-volume
11 extraction studies that were conducted with crushed
12 and intact pellets in different conditions. In
13 presenting the data, I will share extraction data
14 from oxycodone and naltrexone obtained in these
15 studies.

16 In addition, to make it possible to
17 communicate to you the thousands of data points we
18 developed in these studies, we've developed a
19 so-called heat map approach as a simple graphical
20 way to explain the behavior of the formulation in
21 the different solvents over time across all the
22 solvents that were studied in the large-volume

1 studies.

2 Before describing the in-vitro data, I first
3 want to remind you of the intended design of the
4 formulation. The first aspect of its abuse
5 deterrence is a slow extended release of oxycodone
6 when taken intact. This is pharmacokinetic data
7 from the oral human abuse potential study that
8 Dr. Roland will review shortly.

9 As Dr. Malhotra described earlier, with
10 administration of intact ALO-02, you get extended
11 release of oxycodone, shown in orange, and no
12 measurable release of naltrexone, shown in green.

13 This is a profile of oxycodone release one
14 would expect for an ER formulation when ALO-02 is
15 taken intact that provides for the slow release of
16 oxycodone and maintains the sequestration of
17 naltrexone.

18 This represents how this looks in an
19 in vitro extraction study. You see slow extraction
20 of oxycodone of orange from intact pellets over
21 time and no extraction of naltrexone, shown in
22 green. The formulation is not compromised.

1 Rather, it is behaving as intended, allowing for
2 the slow release of oxycodone, and this release is
3 required for its analgesic benefit.

4 The other foundational aspect of ALO-02's
5 abuse-deterrent properties is the sequestered
6 naltrexone. Crushing ALO-02 is intended to cause
7 the co-release of naltrexone with oxycodone. The
8 bottom left panel shows what this looks like in
9 vivo. Again, this is pharmacokinetic data from the
10 oral human potential abuse study.

11 With oral administration of crushed ALO-02
12 pellets, you see simultaneous release and
13 absorption of oxycodone as shown in orange and
14 naltrexone as shown in green. It's behaving as
15 intended with crushing.

16 The panel on the right is what this looks
17 like in vitro, where you get rapid and simultaneous
18 extraction of oxycodone and naltrexone from crushed
19 ALO-02. With these two features of ALO-02 in mind,
20 we've developed this heat map graphical approach
21 for the purposes of today's presentation as a
22 simple way to describe the behavior of ALO-02. The

1 times, conditions and solvents that were used in
2 these studies were described in the closed session,
3 and so are presented here in a blinded fashion so
4 as not to provide a roadmap to abusers.

5 The two features of the formulation are
6 reflected in this graph. On the X axis, we have
7 percent oxycodone extraction from zero to 100
8 percent, and on the Y axis, we have the ratio of
9 the percent extraction of naltrexone to the percent
10 extraction of oxycodone. Zero is where no
11 naltrexone is extracted, and 1 is where the percent
12 extraction of naltrexone is equal to the percent
13 extraction of oxycodone.

14 For the purposes of displaying the data, we
15 have set cut points for both percent oxycodone
16 extraction and the ratio of naltrexone to oxycodone
17 extraction. For percent oxycodone extraction, the
18 cut point is 30, and for the ratio of naltrexone to
19 oxycodone extraction shown on the Y axis, the cut
20 point is 0.5.

21 Using these cut points, we have developed a
22 color coding that will then be used in our heat

1 maps. Dark green represents when oxycodone
2 extraction is limited and less than 30 percent.
3 The light hashed green indicates where there's
4 effective extraction of naltrexone relative to
5 oxycodone; that is, the ratio of naltrexone to
6 oxycodone extraction is greater than 0.5; while the
7 light brown shading indicates where there's reduced
8 extraction of naltrexone relative to oxycodone.

9 We use this color coding to describe the
10 behavior of the formulation over time in each
11 solvent across all solvents tested in a specific
12 condition. An example of the heat map is shown
13 here.

14 Each column represents a different solvent
15 while the rows represent the different time points
16 when oxycodone and naltrexone was assessed. It is
17 important to highlight that the brown shading
18 indicates time points in which the naltrexone
19 extraction is less than half of the oxycodone
20 extraction and does not mean that there is no
21 naltrexone present.

22 First, I will discuss the large-volume

1 extraction studies with crushed and intact ALO-02
2 pellets. This slide presents data from the
3 large-volume studies with crushed pellets. The bar
4 chart plots the percent extraction of oxycodone in
5 orange and naltrexone in green at time point X for
6 the 31 solvents that were tested.

7 The solvents are ordered according to the
8 amount of oxycodone extracted at this specific time
9 point. We show this time point as behavioral
10 studies with opioid abusers indicate, that they
11 will rarely spend longer than this trying to defeat
12 an opioid formulation for abuse.

13 As you can see, there was equivalent
14 extraction of oxycodone and naltrexone at this time
15 point in all solvents with the exception of one
16 solvent, solvent M27. In the inset, we show the
17 extraction of oxycodone and naltrexone over time
18 for solvent M27 and also for solvent M08 which I
19 show as an example of how ALO-02 behaves in the
20 majority of solvents.

21 With M27, there was greater extraction of
22 oxycodone versus naltrexone at all time points.

1 However, there was still naltrexone present which
2 could precipitate withdrawal in dependent abusers.
3 Moreover, this is a hazardous solvent, and
4 additional steps would be required to isolate
5 oxycodone for abuse.

6 The extraction profile with solvent MO8 is
7 represented of the response with crushed ALO-02 in
8 the majority of solvents, where there was
9 simultaneous and rapid extraction of both oxycodone
10 and naltrexone.

11 Finally, here we show the heat map that
12 characterizes the behavior over time for all of the
13 31 solvents that were tested. This data indicates
14 that when ALO-02 is crushed, there's simultaneous
15 extraction in naltrexone across a variety of
16 solvents.

17 This shows a similar representation of our
18 large-volume extraction studies with intact ALO-02
19 pellets in condition B. As a reminder, the orange
20 bar shows percentage of oxycodone extraction while
21 the green bar shows percent naltrexone extraction
22 from intact pellets for each of the different 31

1 solvents that were tested. Again, the solvents are
2 ordered according to percent oxycodone extracted at
3 the specific time point.

4 At time point X, you can see that there was
5 no extraction of oxycodone in the majority of
6 solvents tested. This is consistent with the
7 intended design of the formulation to provide
8 extended release of oxycodone over time.

9 Further, to the right, there were some
10 solvents that showed significant oxycodone
11 extraction, but they also showed naltrexone
12 extraction.

13 In the insets, we provide representative
14 extraction profiles for solvents on the left and
15 those on the right. In the inset on the left, we
16 show the extraction profile for M08. You can see
17 that in solvent M08, there is very slow extraction
18 of oxycodone in orange with no extraction of
19 naltrexone in green.

20 There is some extraction of oxycodone at
21 late time points, but this does not imply the
22 formulation is compromised. In fact, it is just

1 demonstrating its extended-release mechanism.

2 In the inset on the right, we show the
3 extraction profile for solvent M16. In this
4 solvent, there was initial extraction of oxycodone,
5 but soon thereafter, naltrexone was also extracted.
6 Thus, there was only a short window time in which
7 oxycodone could be extracted in the relative
8 absence of naltrexone.

9 Here is the heat map for the behavior of
10 intact ALO-02 pellets over time in all solvents
11 tested. In the solvents on the left, extraction of
12 oxycodone occurred at late time points from intact
13 pellets. This is consistent with the intended
14 extended-release properties of ALO-02, and this
15 would be expected with all extended-release abuse-
16 deterrent opioids and indeed is required for its
17 analgesic benefit. With the solvents on the right,
18 there are brief times when oxycodone was extracted,
19 but naltrexone extraction soon followed.

20 It is important to recognize that these studies
21 were done in tightly controlled conditions in the
22 laboratory setting. In the real world where these

1 conditions are less well-controlled, there would be
2 significant variability in extraction which would
3 further decrease the likelihood that an abuser
4 could pinpoint the perfect conditions.

5 A similar profile as shown for large-volume
6 studies with a selected group of solvents in
7 condition D, the bar chart in the top panel again
8 shows the percent extraction of oxycodone in orange
9 and percent extraction of naltrexone from intact
10 pellets at time point X for these selected
11 solvents.

12 As with the previous condition using our cut
13 points to describe the behavior of the formulation,
14 the heat map shows that there were brief periods of
15 time in which there was reduced naltrexone
16 extraction compared to oxycodone extraction, but
17 this was short-lived for most solvents and the
18 timing varied from solvent to solvent.

19 Additional large-volume extraction studies
20 were carried out with intact pellets in more
21 complex conditions. In multi-solvent extraction
22 studies with intact pellets in different organic

1 aqueous solvent combinations, there were some
2 combinations identified in which oxycodone could be
3 extracted. Most were non-ingestible solvents, and
4 additional steps would be required to separate
5 oxycodone from these hazardous solvents. In all
6 cases, there was at least some naltrexone release.

7 In studies with intact pellets in study
8 condition E and condition F, potential
9 vulnerabilities of the formulation were identified
10 with nearly complete extraction of oxycodone with
11 limited to no extraction of naltrexone.

12 In addition to the large-volume extraction
13 studies, we also conducted small-volume extraction
14 studies with ALO-02 to determine the potential
15 vulnerability to abuse by the IV route. This slide
16 summarizes the results from these studies.

17 The panel on the left shows oxycodone
18 extraction in different volumes of solvent MO-1 at
19 four different time points. Oxycodone extraction
20 was less than 25 percent at all volumes and all
21 time points tested.

22 The plot on the right shows extraction of

1 oxycodone in a range of different solvents at the
2 same time point and same volume. Extraction of
3 oxycodone was less than 20 percent in all solvents.
4 These small-volume experiments with intact pellets
5 demonstrate low yield of oxycodone, which would
6 deter abuse by the IV route.

7 In summary, our large-volume studies with
8 crushed pellets demonstrated simultaneous release
9 of oxycodone and naltrexone from ALO-02 in a
10 variety of solvents. The large-volume studies with
11 intact pellets demonstrated that in the majority of
12 solvents, the extended-release properties of the
13 ALO-02 formulation was preserved.

14 In some solvents, there was preferential
15 release of oxycodone, but this was dependent upon
16 time and condition. In most conditions, there was
17 only a brief window of opportunity before
18 significant amounts of naltrexone was extracted
19 which would counteract the effects of oxycodone.
20 Further, the lower levels of naltrexone during
21 these windows would likely lead to withdrawal in
22 the dependent abuser.

1 The small-volume studies show limited
2 extraction of oxycodone from intact ALO-02 pellets
3 which would deter abuse by intravenous
4 administration. Finally, the volatilization
5 studies which were not presented today demonstrated
6 that ALO-02 would deter abuse by smoking.

7 These studies demonstrated that the ALO-02
8 formulation shows abuse-deterrent properties in
9 vitro. Furthermore, the lack of visual cues with
10 this technology and fear of naltrexone would likely
11 be a further barrier to experimentation by an
12 abuser.

13 I will now introduce Dr. Carl Roland, who
14 will describe the Category 2 and 3 data from our
15 clinical abuse potential studies.

16 **Applicant Presentation - Carl Roland**

17 DR. ROLAND: Thank you, Dr. Donevan.

18 In addition to the Category 1 abuse
19 potential data that Dr. Donevan has presented, I
20 will now describe the Category 2 and Category 3
21 data from three studies that examine the abuse
22 potential of crushed ALO-02 by three different

1 routes of abuse: oral, intranasal, and
2 intravenous.

3 All three abuse potential studies were
4 developed in cooperation with the FDA and followed
5 the FDA guidance. The design of each of these
6 studies was similar and consistent with other
7 studies of abuse-deterrent formulations.

8 All three studies were randomized,
9 double-blind, crossover studies in non-dependent
10 recreational users of opioids.

11 The treatments are listed here, and I'll go
12 through these as I present each study later. For
13 all studies, ALO-02 was compared to immediate-
14 release oxycodone and the primary measures were the
15 drug-liking and high visual analog scales. The
16 primary endpoint was the peak effect or Emax for an
17 individual subjective measure. There were a number
18 of secondary subjective measures as listed here.

19 The bottom of this slide illustrates the
20 study phases used in all three studies. Each study
21 had a screening phase to ensure subjects met the
22 inclusion/exclusion criteria. This was followed by

1 a naloxone challenge phase. The naloxone challenge
2 phase was performed to ensure that the subjects
3 were not dependent on opioids.

4 After demonstration that a subject was not
5 opioid dependent, they then entered a drug
6 discrimination phase. The drug discrimination
7 phase is carried out to establish that the subject
8 can distinguish between the active treatment and
9 placebo and that they are able to tolerate the
10 study treatments.

11 The drug discrimination phase was conducted
12 in a blinded manner. The measures used to
13 determine drug discrimination included drug-liking,
14 high, and take drug again. Once a subject
15 demonstrated that they could discriminate the
16 active treatment from placebo, they were then
17 eligible to enter the treatment phase of the study.

18 This slide illustrates the primary subject
19 measures used in all three studies, drug-liking and
20 high, and an important secondary measure, take drug
21 again. As recommended by the FDA guidance,
22 drug-liking was measured by using a bipolar scale

1 in which zero represents strong disliking, 50
2 represents that they neither like nor dislike the
3 drug, and 100 is strong liking.

4 The subjective measure of high was measured
5 using a unipolar scale, where the subject reported
6 how high they were feeling at the moment from zero
7 representing not at all high to 100 being extremely
8 high.

9 As noted in the FDA guidance, another
10 measure of interest in these studies is the
11 likelihood to take the drug again. This important
12 secondary measure, take drug again, also used a
13 bipolar scale.

14 After each treatment session was completed,
15 a subject was asked if they would take this drug
16 again. Zero represented that they would definitely
17 not take the drug again, 50 is neutral, and 100
18 represented that they would definitely take it
19 again.

20 The primary endpoint for each of these
21 measures was the peak or maximum effect measured at
22 any time after study drug was administered

1 described as Emax.

2 I will now present each of the individual
3 abuse potential studies. As I present each study,
4 I will discuss the study treatments and present the
5 Category 2 data followed by the Category 3 data.

6 The first study is the oral abuse potential
7 study in recreational opioid users. This study
8 included six treatments administered in a fasted
9 state in a crossover manner. The treatments
10 included ALO-02 60 milligrams administered intact,
11 ALO-02 60 milligrams crushed, oxycodone immediate-
12 release 60 milligrams crushed, ALO-02 40 milligrams
13 crushed, oxycodone IR 40 milligrams crushed, and
14 placebo.

15 The crushed treatments were administered as
16 a suspension. Because ALO-02 is administered
17 intact and crushed, a double dummy was used. As
18 noted previously, the primary comparisons were
19 crushed ALO-02 to oxycodone IR.

20 This slide illustrates the Category 2 data.
21 The oxycodone exposure over time is represented in
22 the top panel and the naltrexone exposure over time

1 in the bottom panel. As seen in the top panel,
2 there is a dose dependent increase in the oxycodone
3 plasma concentration with both crushed ALO-02 and
4 oxycodone IR.

5 When ALO-02 is taken as directed, that is,
6 intact, represented by the dark circles, there's an
7 extended release of oxycodone over time. The
8 bottom panel illustrates the plasma naltrexone
9 concentration over time.

10 When ALO-02 is manipulated by crushing,
11 there is a co-release and absorption of naltrexone
12 with more exposure to naltrexone with the higher
13 dose. However, when ALO-02 is taken as directed,
14 there was no measurable naltrexone represented by
15 the dark circles at the bottom.

16 Of note, the median Tmax for naltrexone
17 occurred before that of oxycodone when ALO-02 was
18 crushed.

19 This slide illustrates the mean drug-liking
20 Emax scores for the placebo and intact ALO-02
21 treatments relative to the oxycodone IR treatment.
22 As demonstrated in the previous slide, the rapid

1 immediate release of oxycodone from the IR
2 oxycodone treatment results in high drug-liking
3 scores represented by the orange bar.

4 Taking ALO-02 intact, that is, as directed,
5 results in an extended, slow release of oxycodone
6 as demonstrated in the previous slide. This slow
7 release of oxycodone translates to much lower
8 drug-liking relative to the immediate release of
9 oxycodone as shown here.

10 The mean drug-liking Emax scores for the
11 primary comparison of crushed ALO-02 to oxycodone
12 IR is illustrated here. The drug-liking Emax
13 scores for both doses of crushed ALO-02 are
14 significantly lower than the same dose of oxycodone
15 IR.

16 The difference observed was approximately 16
17 millimeters for both doses of ALO-02. Because
18 naltrexone is co-released with oxycodone when
19 ALO-02 is crushed, the drug-liking response is
20 lower compared to oxycodone by itself and oxycodone
21 IR.

22 This illustrates the high Emax data from the

1 oral abuse potential study. Because high was
2 measured using a unipolar scale, the Y axis goes
3 from zero to 100 here. As seen with drug-liking,
4 the high Emax scores for crushed ALO-02 are
5 significantly lower relative to the same dose of
6 oxycodone IR due to the co-release of naltrexone.

7 The secondary measure of take drug again is
8 illustrated here. Again, as seen with the primary
9 measures of drug-liking and high, take drug again
10 is associated with lower Emax scores for crushed
11 ALO-02 compared to the same dose of oxycodone IR.

12 This slide illustrates the percentage of
13 subjects that experienced a specific reduction in
14 drug-liking Emax for intact ALO-02 and crushed
15 ALO-02 relative to the same dose of oxycodone IR.

16 The ALO-02 60-milligrams intact treatment
17 represented by the dark blue bars resulted in 90
18 percent of subjects experiencing at least a 30
19 percent reduction in drug-liking relative to
20 oxycodone IR 60 milligrams, and 87 percent of
21 subjects experienced at least a 50 percent
22 reduction in drug-liking.

1 With crushed ALO-02, either 40 or 60
2 milligrams, we saw that at least 61 to 65 percent
3 of subjects experienced at least a 30 percent
4 reduction in drug-liking while 45 to 55 percent of
5 subjects experienced at least a 50 percent
6 reduction in drug-liking relative to oxycodone IR.

7 I will now present the intranasal abuse
8 potential study. This study included four
9 treatments administered in a fasted state in a
10 crossover manner. The treatments included ALO-02
11 30 milligrams administered crushed, oxycodone IR 30
12 milligrams crushed and matching placebos. ALO-02
13 was matched by weight to placebo sugar spheres, and
14 oxycodone IR was matched by weight to placebo
15 lactose tablets.

16 As with the oral abuse potential study, the
17 primary comparison was crushed ALO-02 to oxycodone
18 IR.

19 The Category 2 data are illustrated here
20 with the oxycodone exposure over time in the top
21 panel and naltrexone exposure over time in the
22 bottom panel. As seen in the oral abuse potential

1 study, there is co-release and absorption of
2 oxycodone and naltrexone with crushed ALO-02 when
3 administered intranasally.

4 The drug-liking Emax data from the
5 intranasal abuse potential study is shown here. As
6 expected, the placebo treatments were similar to
7 each other and lower than the ALO-02 or oxycodone
8 IR drug-liking Emax scores.

9 The drug-liking Emax score for crushed
10 ALO-02 is significantly lower than crushed
11 oxycodone IR, again due to the co-release of
12 naltrexone. The difference here was large, 33.4
13 millimeters.

14 This is the secondary measure of take drug
15 again. As seen with drug-liking, the take drug
16 again Emax score for crushed ALO-02 is
17 significantly lower than oxycodone IR. The take
18 drug again response to ALO-02 was not significantly
19 different from the placebo response.

20 This is the responder analysis for the
21 intranasal abuse potential study. Crushed ALO-02
22 30 milligrams resulted in 93 percent of subjects

1 experiencing at least a 30 percent reduction in
2 drug-liking relative to oxycodone IR, and 85
3 percent of subjects experienced at least a 50
4 percent reduction in drug-liking.

5 I will now present the final abuse potential
6 study that was conducted, the IV abuse potential
7 study. This study included three treatments
8 administered in a fasted state in a crossover
9 manner. Please note, consistent with the FDA
10 guidance, crushed ALO-02 was not used in this study
11 for concerns of safety in this healthy volunteer
12 study.

13 The treatments included a simulated
14 parenteral dose of ALO-02 20 milligrams
15 administered as oxycodone 20 milligrams for
16 injections and 2.4 milligrams of naltrexone for
17 injection, oxycodone 20 milligrams for injection as
18 the active control, and normal saline was used as
19 the placebo treatment.

20 The Category 2 data demonstrate, as
21 expected, that there was immediate exposure of
22 oxycodone and naltrexone when administered

1 intravenously. As seen in the intranasal study,
2 the difference in the drug-liking Emax scores for
3 simulated ALO-02 and oxycodone are large,
4 approximately 34 millimeters. This was
5 statistically significant.

6 As seen with drug-liking, the take drug
7 again Emax scores for simulated ALO-02 are
8 significantly lower than oxycodone. This
9 difference was also large, approximately 31
10 millimeters and similar to the difference seen in
11 the intranasal study. The placebo response to take
12 drug again was similar to simulated ALO-02.

13 The responder analysis for the IV abuse
14 potential study demonstrates that simulated ALO-02
15 20 milligrams resulted in 90 percent of subjects
16 experiencing at least a 30 percent reduction in
17 drug-liking relative to oxycodone, and 83 percent
18 of subjects experienced at least a 50 percent
19 reduction in drug-liking. These results are
20 similar to those seen in the intranasal abuse
21 potential study.

22 To summarize the abuse-deterrent studies,

1 the Category 1 and 2 data demonstrate that crushing
2 ALO-02 results in a simultaneous release and
3 absorption of oxycodone and naltrexone. These data
4 combined with the Category 3 data demonstrate that
5 ALO-02 has abuse-deterrent properties following
6 manipulation and administration by the oral and
7 non-oral routes.

8 Dr. Donevan will now come back to provide
9 some concluding remarks on behalf of Pfizer.

10 **Applicant Presentation - Sean Donevan**

11 DR. DONEVAN: So to summarize what you've
12 heard here today, the ALO-02 NDA was a 505(b)(2)
13 submission and referenced Roxicodone and Revia.
14 The development program consisted of nine clinical
15 studies and an extensive number of in vitro studies
16 to support the abuse potential properties of
17 ALO-02.

18 The clinical pharmacology studies support
19 that with ALO-02, the bioavailability is equivalent
20 to Roxicodone. It has a pharmacokinetic profile
21 that supports twice-daily dosing and can be taken
22 with or without food. Finally, ethanol does not

1 cause dose dumping.

2 The two efficacy and safety studies
3 demonstrated that ALO-02 has efficacy that is
4 superior to placebo in patients with chronic low
5 back pain and demonstrated the long-term safety and
6 maintenance of ALO-02's efficacy in chronic
7 non-cancer pain.

8 Importantly, the abuse-deterrent studies
9 demonstrate that ALO-02 has reduced abuse potential
10 by all three routes of administration.

11 In conclusion, the safety and efficacy of
12 ALO-02 has been demonstrated in chronic pain. The
13 in vitro and pharmacokinetic data demonstrate that
14 when ALO-02 is crushed, there is simultaneous
15 release and absorption of both oxycodone and
16 naltrexone. The Category 3 data further support
17 the reduced abuse potential of ALO-02 when
18 manipulated and administered by the oral,
19 intranasal and intravenous routes.

20 Overall, the evidence that we have provided
21 today supports abuse-deterrent labeling for ALO-02.
22 Pfizer agrees that a multifaceted approach

1 involving multiple stakeholders is essential to
2 address the complex and critical problem of
3 prescription opioid abuse. We believe that ALO-02
4 is an important step towards this goal of creating
5 safer opioid analgesics.

6 Thank you for your attention and for the
7 opportunity to present ALO-02 to you today.

8 **Clarifying Questions**

9 DR. BROWN: Thank you.

10 Are there any clarifying questions for the
11 folks at Pfizer? Please remember to state your
12 name prior to asking your question for the record.
13 If you can, please direct your questions to a
14 specific presenter.

15 We'll start with Dr. Emala.

16 DR. EMALA: Hi. Charles Emala. I have
17 questions on two slides, I think, for Dr. Donevan,
18 slide 45 to start with.

19 DR. DONEVAN: Could we see slide 45, please?

20 DR. EMALA: So I'm curious. For solvent 27,
21 when the extraction exceeds the selected cutoff
22 points, how thoroughly it exceeds those cutoff

1 points. I'm curious, at the earlier time points in
2 27, if we know how thoroughly extracted the
3 oxycodone is and how low the ratio of oxycodone and
4 naltrexone is.

5 Related question and I'm not sure if this is
6 part of the FDA guidance, but recognizing that this
7 is a harsh organic solvent, I'm curious as to
8 whether consideration of simple evaporation of the
9 solvent is considered part of these studies.

10 DR. DONEVAN: So maybe while they're
11 conferring, maybe I can address the extraction of
12 solvent M27. You see the heat map in solvent M27.
13 The extraction profile that shows extraction of
14 naltrexone and oxycodone over time is shown in the
15 inset in the upper left.

16 You can see that at early time points, you
17 have reduced oxycodone extraction as well as
18 reduced naltrexone extraction. With increasing
19 durations of exposure, you see increase in
20 extraction of both oxycodone and naltrexone. But
21 at all time points, the extraction of oxycodone is
22 greater than that of naltrexone.

1 DR. EMALA: Can I ask a related question?

2 DR. BROWN: Absolutely.

3 DR. EMALA: On slide 48, for solvents 16 and
4 23, we're now looking at ingestible solvents. And
5 my question is similar. When the cutoff is
6 exceeded at these early time points, do we know by
7 what margin they're cut off at? It's somewhat
8 reassuring that at later time points naloxone seems
9 to catch up. I would assume that these cutoffs are
10 being just marginally exceeded at these early time
11 points.

12 DR. DONEVAN: So I think you're referring to
13 solvent M16, correct?

14 DR. EMALA: Yes.

15 DR. DONEVAN: Again, if you look at solvent
16 M16 in the heat map, you see that there are two
17 time points where there's reduced extraction by
18 naltrexone. That is, it's less than 0.5 of the
19 extraction of oxycodone as well as oxycodone
20 extraction, that it exceeds 30 percent.

21 Immediately after that time point, the
22 extraction of naltrexone is at least 50 percent of

1 the extraction of oxycodone, and then over
2 time -- and you can see that in the inset on the
3 right -- you get complete extraction of naltrexone.

4 DR. EMALA: Thank you.

5 DR. BROWN: Dr. Morrato?

6 DR. MORRATO: My question also relates to
7 the slide MO-48.

8 DR. DONEVAN: Can we have that slide,
9 please?

10 DR. MORRATO: It has to do with this -- this
11 kind of helps us maybe.

12 DR. DONEVAN: Did you say slide 48? I don't
13 think we heard --

14 DR. MORRATO: Yes. Sorry. Because it
15 relates to how we are defining the thresholds.

16 DR. DONEVAN: Yes.

17 DR. MORRATO: Could you explain for us in
18 the open session how those were clinically defined
19 or justified and what sensitivity analysis? So I'm
20 looking at the curves on the bar chart at the top
21 there and that's final extract percent or is that
22 at certain time points?

1 DR. DONEVAN: It's a percent extraction at
2 certain time points.

3 DR. MORRATO: But the bar charts that you
4 have above the solvents, that's the endpoint?

5 DR. DONEVAN: That's the specific -- so
6 essentially, each row represents a single time
7 point over the --

8 DR. MORRATO: Not each row, the bar charts
9 that you have --

10 DR. DONEVAN: Right, yes.

11 DR. MORRATO: -- above this one.

12 DR. DONEVAN: Yes, that represents the
13 percent extraction of naltrexone and oxycodone
14 specifically at that time point X.

15 DR. MORRATO: Time point X is what?

16 DR. DONEVAN: So which is highlighted in the
17 brown.

18 DR. MORRATO: What? The one-hour mark.

19 DR. DONEVAN: Yes.

20 DR. MORRATO: Okay. Yes. Now I understand.
21 So you've picked that as a threshold.

22 But I can look at the bar charts, and I know

1 you're using, like, a 50 percent ratio. And
2 they're looking like they're hovering around that
3 cut. I'm trying to understand, one, the
4 justification for that cut and if you've done
5 histograms that are looking at are we picking a
6 point in the middle of a peak that's right around
7 0.5, or is this really -- how well is it
8 discriminating, I guess?

9 DR. DONEVAN: Yes. We can talk about that.
10 If we could have slide IV-40, this was the
11 rationale for developing the cut points. There's
12 really no validated cut points that have been
13 identified in this scientific literature. We
14 developed these simply for the purposes of showing
15 the behavior in a large set of experiments that
16 we've conducted.

17 In terms of the percent oxycodone extraction
18 of 30 percent, we developed that based on the
19 understanding that if you look at Cmax for ALO-02
20 comparing crushed to intact or if you compare IR
21 oxycodone compared to intact ALO-02, the Cmax is
22 roughly 30 percent of the crushed product or IR

1 oxycodone. It seems to be similar to how the
2 oxycodone release would occur with intact product.

3 For the oxycodone extraction cut point of
4 0.5, the naltrexone oxycodone extraction of 0.5, we
5 developed that based on some dose-modeling work
6 that we had done with oxycodone in different ratios
7 of naltrexone. So that's shown on the plot on the
8 right.

9 This was developed using the data with
10 ALO-02 as well as some data with other combinations
11 of opioids and naltrexone. We constructed the
12 model, and the model shows the data on the right.
13 You can see on the Y axis is the percent maximal
14 reduction in drug-liking, and on the X axis are
15 different increasing concentrations of naltrexone
16 compared to oxycodone.

17 It's an increase of the concentration of
18 naltrexone to oxycodone. You see an increase in
19 reduction in drug-liking.

20 Now, the 12 percent which represents ALO-02
21 is near maximal reduction in drug-liking. If you
22 decrease that 12 percent by half, which would be

1 equivalent to getting 50 percent less extraction of
2 naltrexone, you still achieve at least 60 percent
3 of the maximal reduction in drug-liking.

4 We consider that that naltrexone would still
5 be effective at reducing drug-liking with the
6 extraction ratio that was 0.5 or above. With 0.5
7 or below, naltrexone would be less effective at
8 reducing drug-liking. However, if you were a
9 dependent abuser, for instance, it's likely that
10 less than 0.5 naltrexone would still be effective
11 at reducing drug-liking as well as potentially
12 precipitating drug withdrawal because they tend to
13 be more sensitive to the effects of naltrexone.

14 DR. MORRATO: So have you done sensitivity
15 analyses on two parameters, one is this is choosing
16 the drug-liking score, and you could also be
17 looking at the will I take again score, as well as
18 variation around the 0.5.

19 DR. DONEVAN: Yes. This was constructed
20 looking at drug-liking. I don't know that we've
21 developed a model with take drug again. My guess
22 is it would look quite similar because the

1 drug-liking reductions parallel the reductions in
2 drug-liking.

3 We have done sensitivity analysis with heat
4 maps where we've changed the cut points. So I'll
5 show one example, which is IV-44.

6 In this example, what we have done is we've
7 changed the cut point for oxycodone extraction from
8 30 percent to 20 percent. The 30 percent was what
9 I showed you in the main presentation on the top.
10 I'm sorry. I'm looking at the wrong screen. This
11 is the data with intact pellets that I presented.

12 You can see that, with changing the cut
13 point to 20 percent, there are only minor changes
14 in the behavior of the formulation. You can see
15 that reflected in comparing the top, which was the
16 30 percent cut point, and the bottom, which was the
17 20 percent cut point.

18 DR. MORRATO: How about variation around the
19 0.5?

20 DR. DONEVAN: We looked at that, too.
21 That's IV-45, please. This is where we elevated
22 the ratio from 0.5 to 0.75, and again, this is the

1 large-volume study with intact pellets,
2 condition C. The top panel is what I showed in the
3 open presentation with a cut point of 0.5, and the
4 bottom panel is the cut point of 0.75.

5 Again, as you saw with changing the
6 oxycodone extraction cut point, there were fairly
7 modest or small changes in the profile if one
8 increases the requirement for naltrexone to
9 oxycodone extraction.

10 DR. MORRATO: So these are looking at if I'm
11 reading it, if it's going above -- if it's 0.75,
12 you're preferentially extracting naltrexone over
13 the oxycodone, correct? Am I interpreting that
14 right?

15 DR. DONEVAN: Above 0.5 means you have at
16 least 50 percent extraction of naltrexone compared
17 to oxycodone. 0.75 means you have 75 percent
18 naltrexone extracted to oxycodone extracted.

19 DR. MORRATO: Right, so they're
20 preferentially --

21 DR. DONEVAN: It's becoming more and more
22 conservative going from 0.5 to 0.75.

1 DR. MORRATO: What if you're looking at it
2 the other way and I'm wanting to look at -- do you
3 have the ratio where it's less than 0.5?

4 DR. DONEVAN: If we lowered it, I'm less
5 concerned --

6 DR. MORRATO: So I'm selectively getting
7 more of the oxycodone extracted out than I'm
8 getting of the naltrexone. Is that what the ratio
9 is capturing?

10 DR. DONEVAN: So just to reiterate, going
11 from 0.5 to 0.75 is a more conservative criteria,
12 okay? It means you need more naltrexone to reach a
13 beneficial effect using that cut point. This is a
14 more conservative cut point. If we lower the ratio
15 of naltrexone to oxycodone extraction, meaning you
16 require less naltrexone to oxycodone -- I believe
17 we have that.

18 DR. MORRATO: Also, do you have the same
19 data with the crushed pellets, not just the intact?

20 DR. DONEVAN: We have looked at crushed
21 pellets, and I don't know that I have that right
22 now. But we can develop that for you for later

1 this afternoon and address that.

2 DR. MORRATO: The reason I'm asking this is
3 trying to wrap my mind around the statement that's
4 in the FDA's briefing book which says, "Oxycodone
5 is selectively extracted from intact pellets by a
6 number of straightforward techniques, and common
7 solvents appear to be capable of removing
8 naltrexone selectively from crushed."

9 So that's why I'm trying to understand these
10 data relative to these other statements.

11 DR. DONEVAN: Yes. So maybe if we go back
12 to the open presentation -- let me find the
13 specific slide. I'm sorry. Could we have the open
14 presentation with intact pellets heat map, please?
15 Sorry. Crushed is what she requested.

16 This shows the heat map for crushed pellets.
17 I already went through with you solvent M45. Thank
18 you. So this was the heat map that we showed in
19 the open presentation.

20 You can see that solvent M27 is highlighted
21 in the brown shading in the heat map, and then you
22 can see solvent M27, the actual extraction profile

1 from which this heat map was developed for M27 in
2 the upper left, okay? That's really the outlier.
3 The majority of solvents behave like solvent M08,
4 where there was rapid and complete extraction of
5 naltrexone and oxycodone from crushed pellets.

6 If you look further on the left of the bar
7 chart for solvents M24, and M11, and M25, you see
8 that there was very little extraction of either
9 oxycodone or naltrexone in these solvents, and that
10 was maintained through the duration of the
11 extraction study. So a few showed no extraction,
12 most showed complete extraction of oxycodone and
13 naltrexone, and then we had solvent M27.

14 DR. MORRATO: Okay.

15 DR. DONEVAN: There were two other solvents.
16 Just to show you two final examples, which would be
17 IV-46, which is really just showing you what I just
18 described for you, but we'll show it anyway, IV-46.

19 DR. BROWN: Is it coded?

20 DR. DONEVAN: Hmm?

21 DR. BROWN: Is IV-46 coded?

22 DR. DONEVAN: Yes, it's coded. Thank you

1 for reminding me.

2 If we can have IV-46, thank you. Again,
3 this just describes solvent M11 and solvent M25
4 that I showed you previously. With solvent M,
5 there was no extraction of either oxycodone and
6 naltrexone across the duration of the study.

7 In solvent M25, you can see that with this
8 specific solvent, there was actually an increase in
9 extraction of naltrexone over oxycodone.

10 DR. MORRATO: Okay. Can I just ask one
11 related to it? Is that okay timewise?

12 DR. BROWN: Yes.

13 DR. MORRATO: The FDA also says that a
14 common solvent under stress conditions, right?
15 None of these heat maps are under stress
16 conditions, correct, like temperature, agitation?

17 DR. DONEVAN: We have provided heat maps
18 under different stress conditions. If we show the
19 open-session slide -- sorry, yes, this one -- this
20 was under stress conditions, yes. MO-49, please.

21 This was under stress conditions. You can
22 see that with some solvents, there was very little

1 extraction of either oxycodone and naltrexone
2 across the duration of the studies. With other
3 solvents, there was some extraction late, but
4 that's expected because it is an extended-release
5 opioid, and with some solvents, there was
6 extraction earlier, but it was then followed by
7 naltrexone.

8 DR. MORRATO: Okay. Just to clarify then,
9 the abuse potential studies are only using the
10 physical manipulation and did not test any of these
11 chemically-manipulated products, correct?

12 DR. DONEVAN: The oral study looked at
13 crushed ALO-02. That crushing was done with mortar
14 and pestle. It also looked at intact ALO-02
15 pellets that were swallowed intact.

16 The intranasal study was done with crushed
17 ALO-02 again using mortar and pestle. Then the IV
18 study used a simulated crushed ALO-02 with the same
19 ratio of naltrexone to oxycodone.

20 DR. MORRATO: Yes, physical manipulation.

21 DR. DONEVAN: Yes.

22 DR. MORRATO: Then you make a statement, I

1 think it's slide -- this is my last point.

2 DR. DONEVAN: Sure.

3 DR. MORRATO: MO-53, I guess, something that
4 the fear of naltrexone is likely to limit extensive
5 experimentation. I'm wondering if you could share
6 the data to justify that statement.

7 DR. DONEVAN: Sure. I can refer to some of
8 the chatroom data with Embeda, and then actually,
9 I'd like to turn it over to Dr. Edward Sellers to
10 comment.

11 DR. BROWN: Actually, I would like to defer
12 this discussion until after the FDA has had an
13 opportunity to give their presentation because I
14 believe it will give us a better chance to have an
15 understanding of what the issues really are. I'm
16 certain that we're going to want to come back to
17 this.

18 We're going to take a break now, and we'll
19 come back at 11:15. Please remember there should
20 be no discussion of the meeting topic during the
21 break amongst yourselves or with any member of the
22 audience.

1 (Whereupon, at 11:05 a.m., a recess was
2 taken.)

3 DR. BROWN: We're now going to proceed with
4 the FDA presentations.

5 **FDA Presentation - Joann Lee**

6 DR. LEE: Hello, everyone. I'm Joann Lee,
7 drug utilization data analyst in the division of
8 epidemiology, Office of Surveillance and
9 Epidemiology. I'll briefly present the drug
10 utilization patterns for oxycodone extended-release
11 and other extended-release or long-acting opioid
12 analgesics from 2011 through 2015 to provide
13 context for today's discussions.

14 I'll describe the sales distribution of
15 extended-release opioid products followed by
16 prescription utilization of oxycodone
17 extended-release and other opioid analgesics
18 focused on the outpatient retail settings. I'll
19 then present our findings on the top prescriber
20 specialties for oxycodone ER and finish with
21 limitations and summary.

22 We'll focus on oxycodone extended-release

1 because the drug in discussion today involves
2 oxycodone-containing combination product oxycodone
3 and naltrexone or Troxyca ER.

4 We also looked at other extended-release or
5 long-acting opioid products as shown on this slide,
6 which is the opioid market into which the drug
7 being discussed today will be introduced to, if
8 approved. This opioid market includes oxycodone,
9 methadone, morphine, hydromorphone, oxymorphone,
10 tapentadol, hydrocodone, and the transdermal
11 patches fentanyl and buprenorphine.

12 To determine the primary settings of care,
13 we used the IMS National Sales Perspectives
14 database to provide the sales distribution data of
15 oxycodone extended-release and other extended-
16 release or long-acting opioid products that were
17 sold from manufacturers and wholesalers into the
18 various settings of care.

19 Please do note these sales data are
20 nationally projected to all settings of care.

21 As displayed in this chart, 75 percent of
22 oxycodone extended-release products were

1 distributed from manufacturers to retail settings,
2 and additionally, the majority of each of the other
3 extended-release or long-acting opioid products
4 that I just described and included in this review
5 were also distributed to the retail settings.
6 Based on these sales data, we focused on the U.S.
7 outpatient retail pharmacies.

8 For the prescription data analysis that I'll
9 present next, we used the IMS Health National
10 Prescription Audit database. This measures the
11 dispensing of prescriptions from retail pharmacies
12 into the hands of consumers through prescriptions
13 within the United States. These prescription data
14 can also be stratified by prescriber specialty.

15 So to show our findings, this figure
16 presents the nationally estimated number of
17 prescriptions dispensed for oxycodone extended-
18 release as shown by the green line, and the
19 remaining lines represent the other extended-
20 release or long-acting opioid analgesic
21 prescriptions which were dispensed through the U.S.
22 outpatient retail pharmacies from 2011 through

1 2015.

2 The total number of prescriptions dispensed
3 for oxycodone extended-release decreased by 24
4 percent from approximately 5.8 million
5 prescriptions in 2011 to 4.4 million prescriptions
6 in 2015.

7 This chart shows the top prescribing
8 specialties for oxycodone extended-release in 2015.
9 Over one-quarter of oxycodone extended-release
10 prescriptions were written by family practice,
11 general practice, and osteopathy followed by
12 internal medicine, nurse practitioner, and
13 anesthesiology at 11 percent each and so on. Pain
14 medicine accounted for 5 percent of prescriptions
15 written for oxycodone extended-release in 2015.

16 Please to keep in mind that only outpatient
17 use was assessed, that is, inpatient and mail-only
18 data were not included in our analysis. Top
19 specialties that prescribed oxycodone extended-
20 release were captured as reported by the
21 prescription data.

22 So to summarize, there was a decrease in

1 utilization of oxycodone extended-release by 24
2 percent from 2011 through 2015. Of the extended-
3 release or long-acting opioid market, oxycodone
4 extended-release was the third most frequently
5 dispensed drug, with 4.4 million prescriptions
6 dispensed in 2015.

7 The top prescriber specialties were again,
8 family practice, general practice, and osteopathy
9 for the year 2015.

10 Dr. Kilgore will discuss the labeling issue
11 next. Thank you.

12 **FDA Presentation - Elizabeth Kilgore**

13 DR. KILGORE: Good morning. My name is
14 Elizabeth Kilgore, and I'm a medical officer in the
15 Division of Anesthesia, Analgesia, and Addiction
16 Products.

17 This morning, I will be presenting the
18 following topics related to the proposed abuse-
19 deterrent labeling, drug abuse classwide abuse
20 language, risk specific to abuse of Troxyca ER,
21 abuse deterrence testing, abuse potential
22 endpoints, types of studies, and summary.

1 The extended-release long-acting opioids as
2 a class contain the following language about abuse
3 potential. This same language will be included in
4 the label for Troxyca ER. Troxyca ER contains
5 oxycodone, a substance with a high potential for
6 abuse similar to other opioids, including fentanyl,
7 hydrocodone, hydromorphone, methadone, morphine,
8 and oxymorphone.

9 Troxyca ER can be abused and is subject to
10 misuse, addiction and criminal diversion. The high
11 drug content in the extended-release formulations
12 adds to the risk of adverse outcomes from abuse and
13 misuse. All patients treated with opioids require
14 careful monitoring for signs of abuse and
15 addiction.

16 In addition, the following information in
17 the label is more specific to Troxyca ER. Taking
18 chewed, crushed, or dissolved Troxyca ER enhances
19 drug release and increases the risk of overdose and
20 death. If the capsules are crushed or chewed, up
21 to 100 percent of the sequestered naltrexone
22 hydrochloride dose could be released.

1 In opioid tolerant individuals, the
2 absorption of naltrexone may increase the risk of
3 precipitating withdrawal and, due to the presence
4 of talc excipient, parenteral abuse can be expected
5 to result in local tissue necrosis, infection,
6 pulmonary granulomas, and increased risk of
7 endocarditis and valvular heart injury.

8 You have heard about the in vitro laboratory
9 studies that were done to explore the different
10 methods that might be employed to defeat the
11 extended-release and the abuse-deterrent properties
12 of Troxyca ER.

13 The following statements in the label will
14 summarize the results of those in vitro studies.
15 In vitro laboratory tests were performed to
16 evaluate the effect of different physical and
17 chemical conditions intended to defeat the
18 extended-release formulation. When Troxyca ER is
19 crushed and mixed in a variety of solvents, both
20 oxycodone hydrochloride and naltrexone
21 hydrochloride are simultaneously extracted.

22 You have also heard about the three human

1 abuse liability studies that were performed with
2 Troxyca ER. The first explored the potential for
3 oral abuse, and the second explored the potential
4 for intranasal abuse. A third study was conducted
5 with IV administration of simulated crushed Troxyca
6 ER.

7 Many different endpoints may be used to
8 measure human abuse potential outcomes. The agency
9 feels that take drug again with support from
10 drug-liking is the most clinically relevant
11 endpoint in the context of evaluating the potential
12 for abuse deterrence because this endpoint reflects
13 the willingness of an abuser to take the drug
14 again.

15 A purported abuse-deterrent product may
16 have a slightly lower drug high compared to a
17 non-abuse-deterrent comparator, but a more
18 important indicator of the abuse-deterrent
19 potential of the product is whether the abuser is
20 willing to take the drug again.

21 The results for the following two endpoints,
22 take drug again and drug-liking, will be summarized

1 in the label for the studies. Take drug again was
2 measured on a bipolar 100-point visual analog scale
3 where zero represents strong negative response,
4 definitely would not take drug again, 50 represents
5 a neutral response, and 100 represents the
6 strongest positive response, definitely would take
7 drug again.

8 Drug-liking was measured on a bipolar
9 100-point visual analog scale where zero represents
10 maximum disliking, 50 represents a neutral
11 response, neither like nor dislike, and 100
12 represents maximum liking.

13 The next three slides summarize the proposed
14 labeling to describe the oral abuse potential
15 study. As you heard, in a randomized double-blind
16 active and placebo-controlled study, 31
17 non-dependent recreational opioid abusers received
18 all six of the following treatments by the oral
19 route, as shown.

20 Oral administration of crushed 40-milligram
21 Troxyca ER was associated with statistically
22 significant lower means and medians for drug-liking

1 and take drug again compared with crushed
2 40-milligram IR oxycodone hydrochloride and
3 statistically significantly lower means and medians
4 for drug-liking in Troxyca ER 60 milligrams
5 compared to crushed 60-milligram IR.

6 The summary statistics are shown in the
7 following table. This table will be included in
8 the label and summarizes the results from the
9 treatment groups. Note that the mean take drug
10 again for the crushed Troxyca ER, 40 milligrams, is
11 approximately 57, which is less than the 40
12 milligrams immediate-release oxycodone mean of
13 approximately 83.

14 The mean take drug again for crushed Troxyca
15 ER, 60 milligrams, is approximately 71, which is
16 less than the 61 milligram immediate-release
17 crushed oxycodone mean of approximately 81. A
18 similar pattern is seen for the means of drug-
19 liking. Also, I should point out that the boxes in
20 these tables and figures are for presentation
21 purposes only and will not appear on the label.

22 This figure will be included in the label to

1 summarize the present reduction in drug-liking for
2 crushed Troxyca ER compared to the immediate-
3 release oxycodone. The Y axis represents the
4 percent of subjects obtaining the percent reduction
5 greater than or equal to the value on the X axis.

6 For example, about 74 percent of the
7 subjects experience some reduction in drug-liking
8 with the 40-milligram crushed Troxyca ER, and 77
9 percent experienced some reduction in drug-liking
10 with 60 milligrams of Troxyca ER compared to IR
11 oxycodone.

12 Sixty-five percent of subjects using Troxyca
13 ER, 40 milligrams, had at least a 30 percent
14 reduction, and 61 percent of Troxyca ER,
15 60 milligrams, had at least a 30 percent reduction
16 in drug-liking compared to oxycodone IR of the same
17 doses.

18 Fifty-five percent of 40 milligrams and 45
19 percent of 60 milligrams had at least a 50 percent
20 reduction in drug-liking compared to crushed
21 oxycodone IR at the same doses.

22 The next three slides summarize the proposed

1 labeling to describe the intranasal abuse potential
2 study. As you heard, in a randomized double-blind
3 active and placebo-controlled study, 27
4 non-dependent recreational opioid abusers with
5 experience with intranasal administration of
6 opioids received all four of the following
7 treatments by the intranasal route as shown.

8 Intranasal administration of crushed Troxyca
9 ER was associated with statistically significantly
10 lower means and medians for drug-liking and take
11 drug again compared with crushed IR oxycodone
12 hydrochloride.

13 The summary statistics are shown in the
14 following table. This table will be included in
15 the label and summarizes the results for four
16 intranasal treatment groups. Note that the mean
17 take drug again for crushed Troxyca ER is 58, less
18 than the immediate-release crushed oxycodone mean
19 of 88. A similar pattern is seen for the means of
20 drug-liking.

21 This figure will be included in the label to
22 summarize the percent reduction in drug-liking for

1 Troxyca ER compared to the immediate-release
2 crushed oxycodone. The Y axis represents the
3 percent of subjects attaining a percent reduction
4 greater than or equal to the value on the X axis.

5 For example, 93 percent of subjects
6 experienced some reduction in drug-liking Emax with
7 30 milligrams crushed Troxyca ER compared to
8 crushed IR oxycodone. For 93 percent, the
9 reduction was 30 percent or more. For 83 percent,
10 the reduction was 50 percent or more.

11 The study in non-dependent recreational
12 opioid abusers compared 20-milligram IV oxycodone
13 hydrochloride in combination with 2.4-milligram IV
14 naltrexone hydrochloride to simulate parenteral use
15 of crushed Troxyca ER to 20 milligrams of IV
16 oxycodone hydrochloride and placebo. Twenty-nine
17 subjects received all three treatments.

18 Intravenous administration of oxycodone and
19 naltrexone showed statistically significantly lower
20 mean and median drug-liking and take drug again
21 Emax scores. Drug-liking median score was 51 for
22 Troxyca ER compared to an oxycodone-alone median

1 score of 97. Take drug again median score was 50
2 for Troxyca ER compared to oxycodone alone, where
3 the median score was 81, and 90 percent of subjects
4 experienced some reduction in Emax of drug-liking
5 with simulated parenteral use of crushed Troxyca ER
6 compared to IV oxycodone.

7 This summary of the abuse-deterrent
8 properties of Troxyca ER will appear at the end of
9 section 9.2 of the label. The in vitro and
10 pharmacokinetic data demonstrate that crushing
11 Troxyca ER pellets results in a simultaneous
12 release and absorption of oxycodone hydrochloride
13 and naltrexone hydrochloride. These data along
14 with results from the oral and intranasal human
15 abuse potential studies indicate that Troxyca ER
16 has properties that are expected to reduce abuse
17 via the oral and intranasal routes.

18 However, abuse of Troxyca ER by these routes
19 is still possible. Additional data, including
20 epidemiological data when available, may provide
21 further information on the impact of the current
22 formulation of Troxyca ER on the abuse liability of

1 the drug.

2 A human abuse potential study of intravenous
3 oxycodone hydrochloride and naltrexone
4 hydrochloride to simulate crushed Troxyca ER
5 demonstrated lower drug-liking and take drug again
6 Emax compared with oxycodone hydrochloride alone.
7 However, it is unknown whether these results with
8 simulated crushed Troxyca ER predict a reduction in
9 abuse by the IV route until additional
10 postmarketing data are available. Thank you.

11 **Clarifying Questions**

12 DR. BROWN: Are there any clarifying
13 questions for the FDA? Please remember to state
14 your name for the record before you speak. If you
15 can, please direct questions to a specific
16 presenter.

17 Let me say that there are many questions for
18 the folks at Pfizer, and we're going to address
19 those after lunch and after the open public
20 hearing. We'll get all those questions answered at
21 that time, but any clarifying questions for the
22 FDA?

1 Dr. Gerhard?

2 DR. GERHARD: Tobias Gerhard, Rutgers. The
3 first is just really a request. Could somebody at
4 FDA maybe provide a crosswalk for the two different
5 coding schemes regarding the solvents? Because
6 otherwise, I think any discussion will be
7 incredibly difficult unless we have some savants in
8 the audience, between the two different coded
9 schemes, just so we know what L means in the M,
10 some kind of a crosswalk. That would be great, I
11 think.

12 DR. HERTZ: Let me see what we can do. Once
13 we have a chance to break, maybe we can just do
14 that --

15 DR. GERHARD: That's good.

16 DR. HERTZ: -- and just give you the table
17 of X equals Y and J equals K, that kind of thing.

18 DR. GERHARD: Exactly.

19 Two quick questions, one regarding this kind
20 of summary statement that additional
21 epidemiological data would obviously help us
22 understand what the real-world impact of these

1 approaches is.

2 In this case, we have with Embeda a product
3 that uses the same approach, has been on the market
4 for a while. Do we have any epidemiological data
5 that would inform what the real-world impact on the
6 abuse potential is?

7 DR. HERTZ: We don't have the data yet, and
8 I think what's important is to note is that while
9 Embeda has been approved for a long time, perhaps
10 the company can describe the actual marketing
11 periods because it has been present on the market
12 for a much shorter period than one would think
13 based on the different approval dates.

14 So it actually hasn't been out for very long
15 and circulating. I think we also saw that the
16 distribution was not very high, and really, to get
17 meaningful data from postmarketing epi evaluations,
18 it's difficult without having more market
19 penetration.

20 DR. GERHARD: Then one last question to
21 slide 8 in the last presentation of Dr. Kilgore,
22 this looks at the oral abuse potential when we look

1 at the take again score which was kind of
2 highlighted as maybe one of the more meaningful
3 measures here.

4 We see what I think at least what I would
5 describe as an unexpected dose effect where for the
6 40 milligram formulation crushed, the drug-liking
7 is much closer to the 50 percent mark than it is
8 for the 60-milligram formulation, although in a
9 sense, I would have expected that the greater
10 volume of naltrexone would have made up for that

11 Where the 71 for the 60-milligram -- in that
12 context, I find it a bit concerning, and maybe you
13 can clarify whether it was done or why it wasn't
14 done, that we don't see data for the 80-milligram
15 because, obviously, these are two data points from
16 small samples. But if you just extrapolate what
17 you see here, you'd find something that maybe
18 wouldn't have a meaningful difference anymore to
19 the IR oxycodone at the 80-milligram level.

20 DR. HERTZ: I'm not aware that the
21 80-milligram was done. I see heads shaking behind
22 you.

1 I guess as you consider the meaning of the
2 data, the implications of the data, you can think
3 about it assuming that there is or isn't an effect
4 and how that influences your thinking as you go
5 forward.

6 DR. BROWN: Dr. Emala?

7 DR. EMALA: More of a comment than a
8 question for Dr. Kilgore, slide number 5. I think
9 the second -- my understanding is this is intended
10 labeling language that would be included with the
11 product, and I think bullet point number 2 is a
12 little bit of an overstatement. While I think it's
13 true for most solvents at most time points, I don't
14 think it's exclusively true.

15 My only suggestion would be that that
16 statement probably needs to be softened a little
17 bit.

18 DR. BROWN: Dr. Sprintz?

19 DR. SPRINTZ: Hi. Michael Sprintz. This
20 goes back for Dr. Lee, what we had talked about
21 yesterday, too, in terms of the prescriber data
22 where again, this time, family practice were

1 primary at 26 percent. But again,
2 anesthesiologists, generally probably, I would
3 include within the pain medicine category again
4 because as we were saying, usually the
5 anesthesiologists that are prescribing retail
6 oxycodone ER usually are pain guys or girls.

7 DR. STAFFA: This is Judy Staffa. I think
8 as we discussed yesterday, that's probably the
9 case, but the data come from prescribers reporting
10 their specialty to AMA and then those files are
11 linked. I would guess your comment is absolutely
12 correct.

13 DR. BROWN: Dr. Gupta?

14 DR. GUPTA: Yes. I had a question about
15 slide 8. I believe Toby has already asked it, so
16 I'm going to pass on that, but I did have a concern
17 on whether or not higher doses were evaluated above
18 60 milligrams.

19 Then the other question I had was about the
20 summary statement, bullet number 1, regarding the
21 crushing of the medication into the pellets and the
22 fact that there's simultaneous release and

1 absorption of both oxycodone and naltrexone. Do
2 you have any information as it relates to the
3 extraction data that we discussed earlier
4 specifically with solvent 27 or any comment on
5 that?

6 DR. HERTZ: Are you asking the sponsor?

7 DR. GUPTA: I'm asking anyone, I guess. I
8 don't know if FDA can respond, but there was no
9 discussion. It states that if you crush, yes, of
10 course, there's simultaneous release. But,
11 demonstrated earlier in the data that was
12 presented, there was a solvent specifically where
13 we saw there wasn't simultaneous release.

14 So unless I'm misunderstanding the data that
15 was presented, I was just wondering, is there a
16 comment on behalf of the FDA as to how relevant
17 that information is regarding the fact that there
18 wasn't simultaneous release?

19 DR. HERTZ: I think that we are listening to
20 the comments about the way we've conveyed it, and
21 we will certainly take another look at it. We
22 heard one comment that it seems to be overstated so

1 we'll go back and look at that language and yours.

2 DR. BROWN: Dr. Morrato?

3 DR. MORRATO: Yes. Related to that because
4 it looks like it's the same labeling language
5 that's in the Embeda, presumably also because it's
6 using the same kind of platform, so can you share,
7 since it wasn't publicly reviewed, were the
8 original in vitro studies similar in what you saw
9 with the Embeda platform? In other words, what
10 we're seeing here is consistent with what you would
11 expect with a naltrexone abuse-deterrent strategy.

12 DR. HERTZ: In a very general sense, I
13 believe, yes. I can't bring the Embeda to mind at
14 this moment, but I do believe we did take a look at
15 the application where we were doing the review to
16 see how things compared. It was a while ago, but
17 usually, we will go back just to see relative just
18 for our own understanding. But yes, I'd have to go
19 back and take another look to confirm it.

20 I mean, we saw the comparisons from the
21 company of what was done, so I know that there are
22 more data from this evaluation, but I'd have to go

1 back one more time to compare the outcomes.

2 DR. MORRATO: I would just hope that if
3 there's discussion around how to soften the
4 language appropriately, there might be carryover
5 into the other labeling for consistency just
6 because it's using the same -- yes.

7 DR. HERTZ: Good point. Noted.

8 DR. BROWN: Dr. Winterstein.

9 DR. WINTERSTEIN: That goes back to the
10 earlier discussion about are there solvents that
11 differentially release or don't they, the
12 discussion that Dr. Morrato started just before the
13 break. We were thinking that the FDA might address
14 this more clearly.

15 Now we have this statement here that would
16 suggest that the FDA feels there is not that step
17 differential extraction, at least when looking at
18 this statement. I wanted to offer perhaps an
19 interpretation of this, if we could bring up that
20 sponsor slide again, MO-48, because I think the
21 main issue in looking at the ability to
22 differentially extract oxycodone versus naltrexone

1 is really a function of the solvent, but more so a
2 function of time.

3 It's not so much about the sensitivity
4 analysis with these thresholds or the manipulation.
5 It's just a matter of time, and if we have that
6 slide real quick --

7 DR. BROWN: Excuse me. Could we get MO-48?

8 DR. WINTERSTEIN: No?

9 DR. BROWN: We'll get it for you. I think
10 it's important that we look at that.

11 DR. WINTERSTEIN: I think that visually, to
12 me, it makes it fairly clear. So there's this bar
13 chart on the top that's essentially the reflection
14 of time point X, which is this big old bar in this
15 heat map. If we move that horizontal bar down two
16 time points, we have four solvents that extract
17 preferably oxycodone and not naltrexone, and they
18 do this in a sustained fashion so you can wait
19 longer and you get the same.

20 So there is not that magic time point like
21 in those solvents to the right where there is just
22 a short time period where more oxycodone is

1 extracted and less naltrexone in those four
2 solvents that I'm looking at. That would be M21,
3 M22, M15 and M27. All those differentially extract
4 oxycodone and not so much naltrexone if I wait long
5 enough.

6 DR. BROWN: That's interesting. Could you
7 expand on that a little bit as it would relate to
8 an abuser and the likelihood that they would be
9 able to create a circumstance where they could
10 break down the abuse deterrent?

11 DR. WINTERSTEIN: It's just a matter of
12 interpreting the heat map. So this black bar of
13 time point X is essentially arbitrarily choosing,
14 right? So if I don't use the time point X, but I
15 wait a little bit longer and, since we cannot
16 release what time point X is, we cannot release
17 what is underneath there, but if we assume that
18 these are half-an-hour increments, if I wait
19 longer, then I get what I want which would be a
20 good amount of oxycodone and not so much
21 naltrexone.

22 There are clearly scenarios, and this is not

1 with heat manipulation. This is even not crushed.
2 This is simply throwing the pellets into a solvent
3 and waiting for some time.

4 So everything that's brown is what we don't
5 want to see, and there are four solvents that have
6 brown bars that start basically one time point
7 after the one that is chosen right now to
8 illustrate. So that bar chart that we have on the
9 top would look very different, if we move that
10 horizontal line two steps down, would show us an
11 extraction of oxycodone that is more than 30
12 percent and a ratio of naltrexone to oxycodone that
13 is less than 0.5, if I interpret this correctly.

14 DR. BROWN: Thank you, Dr. Winterstein.

15 I'd like to ask the FDA if they could help
16 us. Is it reasonable to assume that this is the
17 reason for a large difference between the
18 information that we -- or the interpretations by
19 the FDA that we received before this meeting and
20 the interpretations that we heard today?

21 DR. HERTZ: I'm not sure I understand that
22 there is a big difference. I think that, when we

1 think about what to put in a label, we obviously
2 don't put it all in because that would be pages and
3 pages of data.

4 I think what we try to do was represent the
5 data -- was provide a summary of the data most
6 representative of what we think the behavior's
7 likely to be out in the community with regard to
8 abuse, and then where if we think that that
9 activity has been impacted some way by the
10 formulation and we think that the impact is
11 sufficient to concur that there may be some abuse-
12 deterrent properties, then that's what we will
13 convey.

14 The question about intact versus crushed and
15 the different solvents used in the different
16 settings is difficult to always understand how much
17 that behavior will represent -- the novel
18 approaches in which these products can be defeated
19 that are pushed in the stress conditions of the
20 testing almost invariably for every formulation
21 will show some ability to defeat it.

22 If you put the effort in, you're going to

1 get what you want out because, again, as we've said
2 before, the opioid has to be able to be delivered
3 in order for the product to be an analgesic.

4 So we try to weigh where we think the data
5 are consistent with behavior that's more common or
6 less difficult and where more sophisticated
7 methods, thinking, approaches have to occur. So
8 what we put in the proposed language here is where
9 we thought the balance might adequately represent
10 the findings.

11 I'm hearing perhaps some differences from
12 the committee so that's part of having the meeting,
13 to hear this. So I don't think that there's a
14 disagreement in the data. We have a mismatch on
15 the coding, but aside from that, I think the
16 differences in the information provided and the
17 labeling presented are about decisions on what to
18 include in the label.

19 DR. BROWN: Thank you, Dr. Hertz.

20 Dr. Perrone?

21 DR. PERRONE: Thank you. Jeanmarie Perrone.
22 This is for the FDA, I think slide 4. I want to

1 clarify an entity. It's described here as, "in
2 opioid-tolerant individuals, the absorption of
3 naltrexone hydrochloride may increase the risk of
4 precipitating withdrawal."

5 I think most people know that opioid
6 withdrawal is often considered uncomfortable but
7 not life-threatening like benzodiazepine withdrawal
8 or alcohol withdrawal. However, there is a concept
9 that when that opioid withdrawal occurs because of
10 abstinence or non-access to an opioid that that is
11 the more benign type of withdrawal.

12 When you have withdrawal that occurs as a
13 result of exposure to an opioid antagonist like
14 naloxone or naltrexone, you have something called
15 precipitated withdrawal, which is not the same
16 thing as precipitating withdrawal. But
17 precipitated withdrawal can actually be a life-
18 threatening entity, and we see a lot of this in
19 patients who inadvertently are opioid dependent and
20 buy suboxone on the street or other kinds of drugs
21 that contain antagonists.

22 I'm just wondering if the warning

1 information is going to be spelled out in some way
2 for the opioid users who may try to misuse this
3 product and get into bigger trouble on the basis of
4 that kind of mechanism.

5 DR. HERTZ: The notes from the discussion,
6 like I still refer to my -- because the transcript
7 is excellent, but it's long, and my notes typically
8 capture the points that I need to rely on in
9 particular or are interested in the short, so I was
10 just taking notes, anyway.

11 I have to go back. I'll take another look
12 at the proposed labeling to see where else we have
13 that described. I know it's in there elsewhere,
14 but I don't know if it's in there to the extent
15 that it addresses your concern. I'll have to go
16 back and take a look.

17 DR. BROWN: Dr. Sprintz?

18 DR. SPRINTZ: Hi. Thank you. I'm Michael
19 Sprintz. I was just following up on what
20 Dr. Winterstein was talking about in terms of the
21 solvents, and you were asking for a clinical or a
22 real-world scenario.

1 Essentially, what she's describing is you
2 have someone who gets a script for 120 or 180 of
3 these pills, and basically, they dump it into a
4 solvent, give it a certain time period X of
5 whatever that is, be patient. And then after
6 evaporating or drying out the solvent, then you
7 have a very large amount of oxycodone that can then
8 be weighed, and cut, and split, and sold, and used.

9 So especially from a diversion standpoint, I
10 could see that being pretty significant, meaning
11 from a drug dealer perspective versus a drug user
12 as well versus someone who's going to sit -- when
13 we talk about an active addict who's starting to go
14 into withdrawal, they're not going to wait however
15 many minutes or hours it takes in order to do that.

16 But when you actually look at from a
17 diversion standpoint and from a dealer standpoint,
18 for them to dump a month's supply into a solvent,
19 and dissolve it, and get the oxycodone out, there
20 at least were four that she described. So that
21 would be somewhat of a clinical scenario in which
22 that would be significant.

1 DR. BROWN: Dr. Gerhard?

2 DR. GERHARD: Just a very quick comment
3 without obviously being able to mention the
4 solvent, if we look at MO-48, the three
5 solvents -- and I have another question for the
6 sponsor later -- the three solvents that were
7 pointed out there, M21, 22, and 15 are very
8 different than solvent M27 in terms of
9 ingestibility and so on.

10 So that's in a sense the approach described,
11 dissolving a large amount, and then getting rid of
12 the solvent is an extra step of work that might not
13 be necessary for these other three solvents, which
14 are very similar to each other.

15 DR. BROWN: Are there any other questions?
16 Dr. Fields?

17 DR. FIELDS: Yes. I was just going to
18 respond to Dr. Perrone's question about withdrawal.
19 There is a fairly large section in the warning
20 section of the label that goes into a lot of detail
21 about precipitated withdrawal and the severity of
22 the symptoms, and it's in a couple of other places

1 in the label as well.

2 DR. PERRONE: Thank you.

3 DR. BROWN: Any other questions of
4 clarification for the FDA?

5 Dr. Morrato?

6 DR. MORRATO: Since it got cut off before
7 the break, I just thought I'd bring it up here. So
8 does the FDA agree then with the statement that the
9 fear of naltrexone is likely to limit extensive
10 experimentation based on chatroom data? In terms
11 of your overall assessment in the proposed
12 labeling, it may be that that's what you agree to.

13 DR. HERTZ: I don't know that we would be
14 able to clearly agree with that statement so that's
15 a soft no.

16 DR. BROWN: Dr. Perrone.

17 DR. PERRONE: This is another question for
18 the FDA. While we're discussing the concerns about
19 80 milligrams, I'm wondering if we have the
20 opportunity to discuss the idea that if 80
21 milligrams of oxycodone in this drug is going to be
22 given twice a day, that gets you to 160 milligrams,

1 which is definitely going to be far in excess of
2 what our current recommended doses are of opioids.

3 So I realize that these studies were done
4 prior to new guidelines recommending lower doses in
5 general and less opioid use in general, but do we
6 have an opportunity to, say, discuss this drug
7 without including an 80-milligram dose?

8 DR. FIELDS: I think that might be a good
9 thing to discuss when we get to the questions.
10 That's a very good thing to bring up.

11 DR. BROWN: I hope you will please remember
12 that and ask that question because it is something
13 that should be brought to the fore.

14 Any other questions for the FDA before we go
15 to lunch?

16 (No response.)

17 DR. BROWN: If not, we're going to break for
18 lunch now. We are going to reconvene again in this
19 room in one hour from now at 1:00 p.m. Please take
20 any personal belongings you may want with you at
21 this time.

22 Committee members, please remember that

1 there should be no discussion at the meeting during
2 lunch with the press or any member of the audience.
3 Thank you.

4 (Whereupon, at 12:00 p.m., a luncheon recess
5 was taken.)

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

A F T E R N O O N S E S S I O N

(1:00 p.m.)

Open Public Hearing

DR. BROWN: We're going to move ahead now with the open public hearing. Both the Food and Drug Administration and the public believe in a transparent process for information gathering and decision-making. To ensure such transparency at the open public hearing session of the advisory hearing meeting, the FDA believes it is important to understand the context of an individual's presentation.

For this reason, the FDA encourages you, the open public hearing speakers, at the beginning of your written or oral statement to advise the committee of any financial relationship that you may have with the sponsor, its product, and, if known, its direct competitors. For example, this financial information may include the sponsor's payment for your travel, lodging, or other expenses in connection with your attendance at the meeting.

Likewise, FDA encourages you at the

1 beginning of your statement to advise the committee
2 if you do not have any such financial
3 relationships. If you choose not to address this
4 issue of financial relationships at the beginning
5 of your statement, it will not preclude you from
6 speaking.

7 The FDA and this committee place great
8 importance on the open public hearing. The
9 insights and comments provided can help the agency
10 and this committee in their consideration of the
11 issues before them.

12 That said, in many instances and for many
13 topics, there will be a variety of opinions. One
14 of our goals today is for this open public hearing
15 to be conducted in a fair and open way, where every
16 participant is listened to carefully and treated
17 with dignity, courtesy, and respect. Therefore,
18 please speak only when recognized by the
19 chairperson. Thank you for your cooperation.

20 Will speaker number 1 step up to the podium
21 and introduce yourself?

22 (No response.)

1 DR. BROWN: Will speaker number 2 step up to
2 the podium and introduce yourself.

3 MR. THOMPSON: Hello, and good afternoon.
4 My name is Edwin Thompson. I am the president of
5 PMRS, Incorporated, located in Horsham,
6 Pennsylvania.

7 With great urgency, the opioid epidemic must
8 be stopped and prevented from ever returning. The
9 CDC guidelines for prescribing opioids for chronic
10 pain published in March of this year highlights one
11 of the primary root causes of this epidemic and the
12 solution. The root cause is the availability of
13 extended-release long-acting opioid products such
14 as OxyContin. The solution presented in the CDC
15 guideline is to significantly limit access to or if
16 not eliminate the use of ER/LA opioid products.

17 The CDC has issued warnings about ER/LA
18 opioid products in the past to no avail. How else
19 do we explain the over \$4 billion in sales in ER
20 products and an increasing number of ER drug
21 applications? Science and human concern would take
22 you in the opposite direction.

1 It is reasonable that anyone submitting an
2 ER opioid drug application believes the FDA is not
3 going to implement the CDC guidelines. The CDC
4 guidelines make 12 different recommendations that
5 should be communicated, taught, and practiced by
6 physicians and healthcare providers.

7 For this committee, I would like to focus
8 your attention to the opioid drug recommendations.
9 The guidelines are recommendations for primary care
10 physicians prescribing opioids for chronic pain
11 outside of active cancer treatment, palliative
12 care, and end-of-life care. Your committees should
13 aggressively consider adding these recommendations
14 to opioid product labeling and should immediately
15 include this information in all REMS programs.

16 The opioid drug recommendations start with
17 the fourth recommendation. When starting opioid
18 therapy for chronic pain, clinicians should
19 prescribe immediate-release opioids instead of
20 ER/LA opioids.

21 Here is why. No clinical evidence review of
22 any approved ER/LA opioid has ever been found, has

1 provided evidence that continuous, time-scheduled
2 use of ER/LA opioids are more effective or safer
3 than intermittent use of immediate-release opioids.
4 No evidence, no clinical evidence review of any
5 approved ER/LA opioid has ever found that the use
6 of ER/LA opioids reduces risk for opioid abuse or
7 misuse and addiction.

8 Also, experts indicated that there was not
9 enough evidence to determine the safety of using IR
10 opioids for breakthrough pain when ER/LA opioids
11 are used for chronic pain and that this practice
12 might be associated with dose escalation.

13 Recommendation number 5, when opioids are
14 started, clinicians should prescribe the lowest
15 effective dosage. Effectively, this also
16 eliminates ER products from starting chronic
17 treatment.

18 Clinicians should reassess evidence of
19 individual benefit and risk when considering
20 increasing dosages to greater than or equal to 50
21 morphine milligram equivalents per day. Most
22 experts agree that, in general, dosages to 50

1 morphine milligram equivalents per day increases
2 overdose risk without necessarily adding benefit
3 for pain control or function.

4 Again, this effectively eliminates the use
5 of ER/LA products because most ER products are at
6 the higher dosage strengths. For an example, this
7 would eliminate the use of 20-, 30-, 40-, 60-, and
8 80-milligram OxyContin. Only 15-milligram dose BID
9 and below would be acceptable.

10 Clinicians should carefully justify a
11 decision to dose at greater than or equal to 90
12 morphine milligram equivalents per day. Still,
13 this would eliminate 40, 60-, and 80-milligram
14 OxyContin.

15 There must be restrictive labeling for high-
16 dose strength opioid products. The guidelines
17 reports on a recent study of patients 15 to 64
18 receiving opioids for chronic non-cancer pain and
19 followed for 13 years. One in 550 died from
20 opioid-related overdose at a median of 2.6 years
21 from their first opioid prescription. Even worse,
22 1 in 32 who escalated to opioid dosage greater than

1 200 morphine milligram equivalents per day died
2 from opioid-related overdose. This is 1 in 32
3 patients. An 80-milligram OxyContin is above 200
4 morphine milligram equivalents per day.

5 How could you recommend approval of a drug
6 at this strength and not include this in the
7 package insert?

8 Recommendation number 6, when opioids are
9 used for acute pain, clinicians should prescribe
10 the lowest effective dose of immediate-release
11 opioids and should prescribe no greater quantity
12 than needed. This also eliminates ER products.

13 The guidelines also lists the following
14 important findings. Patients who do not experience
15 clinically meaningful pain relief early in
16 treatment, for example, within one month, are
17 unlikely to experience pain relief with longer term
18 use.

19 Time-scheduled opioid use was associated
20 with substantially higher average daily opioid
21 dosage than as-needed opioid use in one study. No
22 evidence shows a long-term benefit of opioids in

1 pain and function over no opioids for chronic pain
2 with outcomes examined at at least one year.

3 When you list the CDC recommendations, the
4 solution becomes very clear. When starting opioids
5 for chronic pain, IR opioids should be used instead
6 of ER/LA opioids. There is no evidence that ER/LA
7 opioids are more effective or safer than
8 intermittent use of IR opioids.

9 There is no evidence that ER/LA opioids
10 reduce risk for misuse or addiction. There is
11 insufficient evidence to determine safety of using
12 IR opioids for breakthrough pain when ER/LA opioids
13 are used. When opioids are started, clinicians
14 should prescribe the lowest effective dose.

15 Number 6, increasing dosages over 50
16 morphine milligram equivalents per day increases
17 risk without increasing benefit. This limits
18 OxyContin to 15 milligrams BID and below.

19 Also, we know that compliance is not an
20 issue. Patients in pain take their medication.
21 The advantages of ER opioid products are patent
22 protection, increased price, and dose escalation.

1 If the CDC recommendations were included in
2 the review process of every opioid existing in new
3 products and included in their labeling, you would
4 make a significant step in stopping the opioid
5 epidemic.

6 Critical to stopping the opioid epidemic is
7 communicating the guidelines to clinicians and
8 healthcare providers. The REMS program must be
9 corrected. The blueprint must be rewritten. It
10 must include the CDC guidelines and optimally as a
11 part of product labeling.

12 Using Dr. Katzman's presentation and data
13 from your last advisory committee meeting confirms
14 this point. New Mexico mandated that all
15 clinicians with prescriptive authority receive
16 continuing medical education specific to chronic
17 non-cancer pain. The result was that from 2008 to
18 2014, the number of drug overdose deaths remained
19 the same.

20 This committee also removed the deaths due
21 to heroin and adjustments for population, and the
22 deaths remained the same.

1 Mandatory education was not successful.
2 University professors teaching the course was not
3 successful. Teaching the current product labeling
4 was not successful.

5 I submit to you that you are teaching the
6 wrong information. The CDC guidelines need to be
7 added to the REMS program and to product labels.

8 Additionally, abuse-deterrent products
9 should not raise price over generic products.
10 Abuse-deterrent products do not cost more to
11 manufacture and distribute than generic products.
12 The research and development investment is
13 miniscule and recovered in months. Patients should
14 not pay tens of billions of dollars in price
15 increases for abuse-deterrent products.

16 Abuse-deterrent labeling looks more like
17 patent protection and profiteering than reduction
18 in harm to patients.

19 Finally, an evidence-based review must be
20 conducted for the approval and labeling for all
21 existing opioid products and especially extended-
22 release products before you recommend approval of

1 additional opioid products and prior to approval of
2 abuse-deterrent labeling.

3 This advisory committee has the power to
4 make a significant contribution to stopping the
5 opioid epidemic by incorporating the
6 recommendations from the CDC guideline in every
7 opioid label. Restrict the prescription of high
8 dose opioids as set forth in the guideline and
9 allow their use only when the clinician can justify
10 the use based on safety and efficacy. Include and
11 teach the CDC guidelines in the REMS program.

12 Thank you.

13 DR. BROWN: Thank you, Mr. Thompson.

14 Will speaker number 3 step up to the podium
15 and introduce yourself?

16 DR. WOLFE: I'm Sid Wolfe with the Public
17 Citizen Health Research Group. I do not have any
18 conflict of interest other than what the FDA might
19 construe as an intellectual conflict of interest.
20 I was on the Drug Safety and Risk Management
21 Committee when it met on the topic of Embeda in
22 2008 and 2010. A lot of what I'm going to say

1 shows a, I think, deterioration of the standards
2 then in place for thinking about abuse-deterrent
3 labeling.

4 This is sort of an outline of what I'm going
5 to talk about. The reason Embeda is there is
6 because the same technology, as Pfizer agrees, was
7 used in ALO-02 as was used in Embeda, and a lot can
8 be learned, particularly how it took between 2009
9 when it was approved, and 2014 before any kind of
10 abuse-deterrent labeling was allowed.

11 November 2008 was the first of these two
12 meetings. I mentioned that I was there. I think
13 Dr. Morrato was at the one in 2010 but not in the
14 one in 2008. Yes. Okay.

15 There was a big mistake on this. This
16 meeting was actually in 2008, November 11th. I
17 confused those two numbers.

18 Alparma whose drug it was then -- I just
19 want to make clear Pfizer did not have the drug at
20 this time, did not buy the company that had it
21 until 2011. So what goes on in the next few slides
22 is not Pfizer's doing at all, in all fairness.

1 The company said IV studies suggest selected
2 naltrexone to morphine ratio is 1 to 25, no
3 significant differences between whole and crushed.
4 A lot of the same kinds of class I, II and III
5 studies that the FDA has outlined were done on
6 Embeda before its approval.

7 The FDA, on the other hand, looked at the
8 same studies and said that, under selected
9 conditions, morphine can be efficiency extracted in
10 isolation from naltrexone from Embeda capsules.
11 Once extracted, the morphine can be subject to
12 abuse by various routes of administration.

13 After this, the drug was approved in 2009
14 with no abuse deterrence stated in the label, and
15 shortly there afterwards, a couple months, the
16 company was caught with a really misleading
17 advertising campaign, promotional campaign. I'm
18 mentioning it again; this was not Pfizer at the
19 time, but it's the kind of thing that was done,
20 even though there was no kind of labeling on abuse
21 reduction.

22 These are some of the violations that they

1 left out from videos and so forth, that using it
2 could result in a potentially fatal overdose of
3 morphine, crushing or chewing. The other thing was
4 failed to reveal that the co-ingestion of alcohol
5 and Embeda may result in a potentially fatal
6 overdose, fatal respiratory depression if you use
7 it in an opioid naive patient, and a couple other
8 things such as, under serious adverse reactions,
9 they left out the fact that this could be using
10 respiratory arrest, apnea, circulatory depression,
11 everything.

12 Their overall conclusion was that the
13 information in these videos and so forth grossly
14 minimizes the serious potential risks associated
15 with Embeda, and they misleadingly talked about
16 abuse reduction even though there wasn't anything
17 in the label. Remember, the standard for
18 promotional materials is what is in the label, and
19 we'll get back to that later.

20 We now jump forward to the meeting.
21 Dr. Morrato and I were both there, October 21st,
22 2010, and you see a different flavor on what is

1 necessary for abuse-deterrent labeling. And I'm
2 putting this up in this meeting because, at that
3 meeting, they showed a slide saying that, in March
4 2005, there had been a pre-IND meeting to talk
5 about postmarketing epidemiological studies. What
6 you'll see is that the postmarketing
7 epidemiological study will be submitted to the FDA
8 in 2020 on Embeda, and then or later on any of
9 these other drugs, if they get that.

10 This is a current schedule. As I said,
11 Embeda did get abuse-deterrent labeling in 2014,
12 and the study completion in 2019, and submitted to
13 the FDA in 2020.

14 These are the things I was talking about in
15 terms of the different attitude about the standard
16 for abuse-deterrent labeling. We required
17 demonstration in the premarketing program the kinds
18 of things that you've heard about this morning that
19 actually result in reduction of abuse and its
20 outcomes, death, overdose and addiction, as
21 confirmed in postmarketing epidemiological studies.

22 Then it appeared, and at that time, the FDA

1 was not giving any abuse-deterrent labeling -- that
2 you needed to confirm the possibility of these
3 studies that were done before approval really
4 having any effect on abuse deterrence.

5 This is again quotes from FDA. These early
6 studies, again, the first three, the extraction,
7 the two abuse studies, might suggest how and to
8 what extent a product purported to be abuse-
9 deterrent may be manipulated and abused once the
10 product is on the market. And then as opposed to
11 suggestive evidence, the FDA is not used to
12 approving things based on suggestive evidence. It
13 should be actual evidence.

14 Particularly we're talking about abuse-
15 deterrent labeling in this case. Only
16 postmarketing epidemiological studies will reveal
17 the extent to which a product purported to be
18 abuse-deterrent will actually be manipulated and
19 abused after it's on the market.

20 These are questions to our advisory
21 committee, and the bold on top is the agency needs
22 to provide a clear and consistent goal for the

1 company. This is in the context of what they are
2 expected to do in terms of postmarketing
3 epidemiological data.

4 The majority of the committee felt they
5 would like to see the agency require both
6 sponsors -- there were two different products up
7 for them; one was, I think, another OxyContin
8 product -- to specify the exact form of abuse or
9 misuse that the product was designed to deter and
10 then design epidemiological studies in a human
11 population to look at that.

12 In March 1st, 2011, Pfizer bought what was
13 then King, Alpharma's, I guess, derivative
14 organization, and a week and a half afterwards,
15 there was an Embeda recall due to naltrexone
16 disintegration.

17 Dr. Hertz mentioned, I think correctly, that
18 certain period of time between then and now, there
19 wasn't much of it around because of the recall. Up
20 until the time of the recall, though, there was
21 well over 100,000 prescriptions filled a year, but
22 since then, very, very little.

1 So the next thing we'll look at is abuse
2 reduction now in the label. It happened in October
3 19, '14. And the completion dates again which I
4 showed you before, I'll show in the context of
5 this, though.

6 This is FDA's letter in October of '14 to
7 the company saying the postmarketing study program
8 must allow FDA to assess the impact, if any, that
9 is attributable to the abuse-deterrent properties
10 of Embeda, and I would say "if any" because it
11 could increase. There are a number of ways that
12 started getting discussed this morning in terms of
13 extraction and so forth, where you could actually
14 get more abuse rather than less abuse.

15 This is the actual label, and someone asked
16 this morning, is it sort of similar to what was
17 Embeda. And the answer is yes. The Embeda has
18 properties that are expected, expected, to reduce
19 abuse by the oral and intranasal routes. However,
20 abuse of Embeda is still possible.

21 Then they talked about human abuse potential
22 study afterwards, drug-liking, and so forth and so

1 on. You're not going to know that, the real abuse,
2 as opposed to the possible until additional
3 postmarketing data are available. Again, if this
4 is approved and it's approved with abuse-deterrent
5 labeling, it will be another four, five, six years
6 before those are there. They also have to get ones
7 on Embeda if they're going to leave it on the
8 market. Again, to repeat that earlier slide, this
9 is for Embeda, still a number of years to go.

10 Finally, or least semi-finally, the Wall
11 Street promise, this is a quote from Pfizer back
12 last year when FDA, I think, accepted the new drug
13 application, and then data from the meeting, and
14 some conclusions.

15 This is a quote from Pfizer's press release.
16 "Abuse-deterrent opioid medications incorporate
17 technology designed to make the product difficult
18 to abuse yet, when used appropriately, provide
19 patients with the intended pain relief."

20 Well, the idea behind it, no one could
21 dispute that they're trying to do something like
22 that, and the question is, does it actually deter

1 abuse? You can call something and label it as
2 abuse deterrent, but you need to have evidence
3 outside of a clinic. You need to have
4 epidemiological evidence from a variety of sources
5 which were discussed at this meeting in 2010 to
6 show that it actually reduces abuse.

7 There's no doubt that, if it did, it would
8 be an important step toward helping the drug to
9 grow on, but they're already running up the
10 flagpole, so to speak, that this is an abuse-
11 deterrent opioid medication.

12 This we need to modify because of the
13 correction that was made. I'll read the
14 modification. The first one is exactly the same.
15 The second one, "In conclusion, oxycodone is
16 selectively extracted from intact ALO-02 pellets by
17 a number of straightforward techniques."

18 Strike the last phrase because that's what
19 the FDA said to do and instead, replace it, "Common
20 solvents K through M are particularly effective in
21 selectively extracting oxycodone from intact
22 pellets."

1 The one phrase is wrong. These are again
2 from the briefing package today, Pfizer's
3 statements on ALO-02. You've heard some of this,
4 but just it sounds like it's almost impossible to
5 extract, release of oxycodone and naltrexone in 30
6 of 31 solvents studied. Similar and nearly
7 complete release of both of them.

8 Questions were asked this morning, aren't
9 there some solvents where it selectively increases?
10 The FDA certainly thought so, which is what is in
11 this slide.

12 Summary, after most physical chemical
13 challenges, the formulation retained its
14 abuse-deterrent features, and then finally, if the
15 product is manipulated by crushing, naltrexone's
16 released and acts as a common competitive opioid
17 antagonist at the mu opioid receptor, resulting in
18 reduce abuse potential.

19 They're already talking reduced abuse
20 potential, no evidence for it. There's really no
21 evidence for any of these abuse-deterrent
22 preparations, that epidemiological evidence that

1 they actually reduce abuse.

2 Now, this is from, I think, Dr. Hertz's memo
3 in the briefing package today, and there's a bit
4 of, at least I believe, contradiction. You all may
5 disagree. The first thing, a product has abuse-
6 deterrent properties does not mean that there is no
7 risk of abuse. I mean, how can one disagree with
8 that?

9 It means rather that the risk of abuse is
10 lower than it would be without such properties.
11 Then on the same page of the briefing documents,
12 "Sponsors with approved AD language in the label
13 are required to conduct postmarketing
14 epidemiological studies to determine whether
15 properties of products result in meaningful
16 reductions in abuse, misuse, and related adverse
17 clinical outcomes, including addiction," whatever.

18 So on one hand, there's an assumption that
19 simply using abuse-deterrent technology lowers the
20 risk of abuse, and yet, out of the other corner of
21 the mouth, it sounds as though meaningful
22 reductions are not going to be able to be measured

1 until after the drug is on the market.

2 So Embeda was on the market for five years
3 before they put the label on. When they put the
4 label on, they had no more evidence of deterrence
5 of abuse than is present now for ALO-02.

6 If you combine the misleading information
7 again in the non-Pfizer promotional campaign in
8 2009 with this kind of tear between potential
9 abuse-deterrent properties and actually reducing
10 potential abuse, there's an interesting study
11 published just two months ago by a group of people,
12 one of whom is a fellow at the FDA now, Catherine
13 Hwang. The others are Andrew Kolodny of Phoenix
14 House and Caleb Alexander, who is actually on an
15 FDA advisory committee.

16 They were asking a random sample of general
17 practitioners, family practitioners, and internists
18 around the country what they thought about
19 attitudes regarding prescription opioid abuse and
20 diversion, and this was to me the most striking
21 finding. And it has to do with how misleading the
22 concept of abuse-deterrent properties as opposed to

1 reducing abuse.

2 Of the people sampled, they got about a 58
3 percent response rate on a mail survey, 46 percent
4 of them, almost half, thought that a drug that has
5 ADF incorporated into it will have a lower
6 addictive potential than a non-ADF of the same
7 drug. There's no evidence for that whatsoever.
8 It's theory. It's nice. It suggests, but again,
9 suggests to me isn't strong enough to put something
10 on a label.

11 Then 27 percent of them thought that ADFs of
12 prescription opioids will result in large or
13 moderate reductions of morbidity and mortality.
14 That would be nice, but once you start advertising
15 for them, because once you've got abuse deterrence
16 in the label, you can advertise that it has abuse
17 deterrence properties in it, you start increasing
18 the use and certainly possibly increasing the
19 abuse.

20 I think that a serious thought has to be
21 given -- and I will go through these final
22 conclusions -- the FDA industry guidance on abuse-

1 deterrent opioids evaluation and labeling, which
2 was certainly applauded by all the companies making
3 this, should be withdrawn and replaced with a
4 regulation more favorable to patients than to
5 opioid makers. It certainly will help in this
6 "competitive market" to sell opioids, but in the
7 absence of evidence that it actually reduces abuse,
8 it's kind of iffy.

9 ALO-02 should not be approved because of
10 serious concerns about increased risk. The
11 increased risks were in the various forms that were
12 described. Three out of four non-medical users of
13 opioids get the stuff from their friends and
14 family.

15 There's certainly the pill mills and that
16 kind of stuff, but they get it, and they don't pay
17 for it, and so forth. And if they're clever, they
18 figure out various ways of getting more in a
19 shorter period of time, and that increases the
20 risk. And the abuse can also be increased very
21 easily because the flip side of abuse reduction is
22 abuse increase.

1 Easy manipulability, which was the question
2 several people asked this morning, is clearly as
3 easy for a variety of different solvents; can
4 selectively extract oxycodone or to a lesser extent
5 selectively extract naltrexone. But if you
6 selectively extract the oxycodone, then you don't
7 have to worry about the naltrexone.

8 Current labeling for opioids with
9 potentially abuse-deterrent features as specified
10 in the guidance, I think, should be repealed and
11 replaced with a regulation as opposed to a
12 guidance. It's too lax, literally encouraging
13 companies to put in language that can easily be
14 turned into promotional material, increasing, not
15 decreasing use and abuse.

16 Just a couple comments since I have a little
17 extra time. When I saw that Embeda got abuse-
18 deterrent labeling in 2014, it was very upsetting
19 because I was certainly aware of the history behind
20 it, and it wasn't as though suddenly there were new
21 data, epidemiological data on actual abuse
22 deterrence in 2014. There was pretty much the same

1 data that were there when we looked at this drug in
2 2008 and it was approved without any abuse-
3 deterrent labeling.

4 So I think the difference between abuse-
5 deterrent labeling and not having it is something
6 that the medical profession is very uninformed or
7 misinformed about. Advertising promotion such as
8 the campaign in 2009 certainly helped to foster
9 that. I think that beyond the issue, but including
10 the issue of this drug up for consideration today
11 as the last speaker said, we not only include or
12 incorporate the somewhat FDA-resisted CDC opioid
13 guidelines, but think seriously about evidence.

14 We would never approve a drug as safe and
15 effective unless there was evidence for it. We
16 wouldn't do it just on the basis of it suggests
17 that it's safe, it suggests that it's effect, and I
18 think the standard for saying that it's a abuse-
19 deterrent drug needs to be the same.

20 Thank you very much.

21 **Clarifying Questions (continued)**

22 DR. BROWN: The open public hearing portion

1 of this meeting is now concluded, and we will no
2 longer take comments from the audience. The
3 committee will now turn its attention to address
4 the task at hand, the careful consideration of the
5 data before the committee as well as the public
6 comments.

7 Before we do that, I have been asked to say
8 that Ms. Chauhan has been watching the
9 deliberations from the Green Room behind us, as it
10 is more comfortable for her. So she is completely
11 up to date on everything that we have done and
12 prepared to make a determination about this issue
13 with us.

14 The second thing that I would say is that
15 the sponsor would like to clarify some points, so
16 I'm going to ask them to clarify the points that
17 they had in mind now. And then we'll give the
18 committee a chance to go over some questions which
19 we did not get to this morning.

20 DR. DONEVAN: Thank you, Dr. Brown.

21 So I wanted to come back to the issue
22 related to the cut points because that certainly

1 came up during the FDA conversation as well as the
2 discussion earlier with Pfizer.

3 If we could pull up MO-48, please, there was
4 some discussion about this heat map in particular.
5 So I thought it would be worthwhile to emphasize
6 that we developed this for display purposes only as
7 a way to communicate the data that we have in a way
8 that's hopefully understandable to the audience.

9 The cut point of 0.5 specifically, which is
10 the ratio of naltrexone to oxycodone, is just a cut
11 point that we used. The brown shading represents
12 in many ways that there is increase in oxycodone
13 extraction, but in most cases, there's still
14 naltrexone extraction, as I discussed before.

15 The cut point of 0.5 doesn't mean there's no
16 naltrexone absent. It just means that there's less
17 than the 0.5 ratio.

18 I also wanted to discuss the issue of time,
19 which I think, Dr. Winterstein, you brought that
20 up. To do that, I thought I would bring up Edward
21 Cone who has experience not only with in vitro
22 laboratory manipulation studies but also in his

1 former role at NIDA with prescription and drug
2 abusers to provide some context of the timing in
3 our studies and what an abuser is looking for.

4 I guess finally before we move to Dr. Cone
5 is to remember that the time on this graph is not
6 linear. You can't assume that just because one box
7 is filled and the next one isn't, that that's a
8 specific increment. It's an increment in time, but
9 each increment isn't the same across the studies.

10 We described those in the closed forum, and
11 hopefully, you can recall what the specific time
12 points that correlated with each of those specific
13 rows, which may be a little difficult.

14 DR. HERTZ: Right, but the time points can
15 be discussed in this open session if people have
16 specific questions concerning that.

17 I also just want to state that there was a
18 question about the coding and how FDA's codes and
19 the sponsor's code correlated. And I checked with
20 Dr. Gerhard briefly about the ones he was
21 particularly interested in, and I just want -- as
22 we're discussing this, I have a little bit of

1 information.

2 The solvents for -- could you keep that
3 slide up, please?

4 DR. DONEVAN: Sure. Could you pause that
5 slide? Thank you.

6 DR. HERTZ: For 21, 22, and 15, they're
7 fairly similar, but we did not code 21 or 22
8 because they were similar. We just coded common
9 solvent K to M15. And then we coded common solvent
10 O to M27.

11 So if there's any other cross-codings that
12 come up, I'm looking at Dr. Gerhard. I guess he'll
13 think about it a little more and let us know if
14 there's any others he'd like us to check.

15 DR. DONEVAN: Can I just turn it over to
16 Dr. Cone, and then we can have, if there's
17 additional time for questions --

18 DR. WINTERSTEIN: Yes. If the times could
19 be disclosed, I think, for the understanding of the
20 committee, if that's possible to share, I think
21 that would be helpful.

22 DR. DONEVAN: Well, we did share the

1 specific reference point, which was the black bar
2 in the open session quite specifically, and I think
3 Dr. Morrato recalls that point.

4 DR. HERTZ: Right. Again, I just want to
5 state, for the company to hear again, this has been
6 discussed at other open sessions. It is not
7 considered company confidential. It's your choice
8 what to say. I'm not going to force words out of
9 you, but there's no reason based on our open and
10 closed session requirements for that not to be
11 disclosed.

12 DR. DONEVAN: Right. So the bolded line is
13 one hour, for everyone's benefit, and then we can
14 discuss some of the later time points as they come
15 up.

16 DR. WINTERSTEIN: But what would be helpful
17 is basically two boxes underneath that, basically
18 where we have the common solvents 21, 22, and 15
19 hit the brown. And I understand that the brown,
20 the green, all of this is arbitrary, but that seems
21 to be --

22 DR. DONEVAN: Right. Yes. So give me a

1 second, and I'll come back. I'll let Dr. Cone
2 discuss, and then I'll come back, and we can
3 discuss those specific time points, okay?

4 But actually, after we turn it over to
5 Dr. Cone, I'd then like Dr. Sellers to respond
6 regarding naltrexone, and then there was some
7 specific discussion regarding naltrexone as well.
8 If we can go from Dr. Cone to Dr. Sellers, and then
9 you can certainly come back and ask me additional
10 questions.

11 DR. GERHARD: Just immediate clarification
12 to FDA, are you sure it's K and not L?

13 DR. HERTZ: Let me get back to you.

14 DR. GERHARD: Thank you.

15 DR. HERTZ: We're sure.

16 DR. CONE: Good afternoon, everyone. My
17 name is Edward Cone, and I'm a salaried employee of
18 Pinney Associates. And Pinney Associates will be
19 compensated by Pfizer for my time here and
20 expenses.

21 Can we put the same slide back up? I know
22 there's a lot of information on this slide, and we

1 thought it would be a reasonable summary. But I
2 know there's a lot of confusion about the slide. I
3 just wanted to make a few comments.

4 By way of background, as Sean said, I spent
5 26 years most of which I was head of the laboratory
6 of chemistry and drug metabolism at National
7 Institute on Drug Abuse. And for virtually all of
8 those 26 years, I interacted with drug addicts on a
9 daily basis and spent many, many hours talking to
10 them about their practices.

11 After I retired, I went to work for Pinney
12 Associates and have been involved in evaluation of
13 abuse-deterrent products now for any number of
14 companies for over the last decade. So I've seen a
15 lot of abuse-deterrent products in a variety of
16 formulations and technologies.

17 So let's go back to the slide. The time
18 element there starts with the very top green row,
19 and that's the earliest time point. And it's not a
20 linear scale. The very bottom row is many, many
21 hours of continuous extraction.

22 So in my experience with the drug addicts

1 that I worked with on a daily basis, they would
2 describe their techniques, and there's also
3 published literature about people who tamper and
4 manipulate various pharmaceuticals. There's a
5 general consensus that they'll spend
6 upwards -- they'd like to spend a minute or two
7 because they want their dose, but upwards they'll
8 spend enough effort over a span of 10 or 15
9 minutes. And that's the majority of people who try
10 to manipulate these products.

11 Now, that span of 10 or 15 minutes is
12 represented by the top one, two, three, and maybe
13 four rows across. Now, if you put an IR product in
14 a solvent, it's going to come out almost completely
15 in that first row of two or three green boxes. And
16 for selected solvents, all controlled-release,
17 extended-release opioids, if you extract them with
18 the right solvent, they will fully be released on
19 that bottom row.

20 So that's kind of the two levels as a
21 perspective. IR, if you want to call it failure in
22 this context, the drug is actually doing what it's

1 supposed to be doing. It's delivering drug. The
2 IRs are going to deliver drug in those first few
3 green boxes, and the extended release is going to
4 always deliver drug in that lower box.

5 Because of the guidance that says make your
6 product fail, I'm paraphrasing, but it says take it
7 to failure. So when we design these types of
8 studies, that's literally what we do. We try to
9 the best of our chemistry knowledge to fail these
10 products, and the manufacturers don't always like
11 that. That's kind of counterintuitive, but as
12 chemists we know how to pick solvents that has the
13 best chance of making them fail.

14 Now, in the context of ALO-02, we're dealing
15 with two very similar molecules chemically,
16 oxycodone and naltrexone. And it's a real
17 challenge to separate those two compounds because
18 of their chemical similarity. We found a few that
19 would, but very few and only at specific time
20 points and specific conditions.

21 So for a few of those solvents, the drug is
22 doing what it's supposed to do when you see these

1 brown boxes on the bottom. The drug is coming out.
2 That's what it's supposed to do. But for a few of
3 those others, we found some selective time points
4 with exotic solvents, and most drug tamperers don't
5 use exotic solvents.

6 We threw a lot of really toxic solvents.
7 Most drug users won't use those toxic solvents.
8 They don't know what to do with them once you get
9 it out in a toxic solvent. There's a few people
10 that know what to do with it, but by and large,
11 it's the really basic solvents that are the
12 effective ones to ultimately release a controlled-
13 release drug.

14 For most of those, though, just one more
15 clarifying point, the brown box in most of those
16 solvents does not mean oxycodone only. It just
17 means it didn't reach this arbitrary cut point that
18 they're using to suggest the ratio is higher in
19 this case and lower naltrexone content in this
20 case. So you can't look at those boxes as
21 failures, but rather it's just a little bit more
22 selective extraction.

1 With that, I think the essence of it is the
2 presence of naltrexone is almost any concentration
3 that's above a few tenths of a milligram is going
4 to have an impact on the drug abuser. With that,
5 I'll turn it back over to Sean.

6 DR. DONEVAN: Actually, if we could have
7 Dr. Sellers come up, please, thank you.

8 DR. BROWN: If we could, move ahead with
9 this.

10 DR. DONEVAN: Yes.

11 DR. SELLERS: Good afternoon. My name is Ed
12 Sellers. I'm a professor emeritus of pharmacology
13 toxicology, medicine, and psychiatry at the
14 University of Toronto. I'm here as an independent
15 consultant to Pfizer. I have sat on numerous
16 scientific advisory boards, including for Pfizer,
17 and I do chair the scientific advisory panel for
18 opiate analgesic abuse for Health Canada.

19 I have no financial interest in Pfizer, or
20 in this product, or in the outcome of this
21 particular meeting. My travel here, the company
22 that I use as a consulting company will be

1 reimbursed, and they'll receive an honorarium for
2 my attendance here.

3 I want to put in perspective some of those
4 brown boxes and get back to the question asked by
5 Dr. Morrato about the cut point and its
6 sensitivity. As Dr. Cone indicated, that was a
7 somewhat arbitrarily selected cut point, and I
8 think as Dr. Morrato was trying to get at, the
9 definition might make quite a difference to how
10 many brown boxes there might be. And this then
11 relates to what do drug abusers think about the
12 product that might have an antagonist in it.

13 To put this in perspective, I worked for 40
14 years as a clinical psychopharmacologist in
15 research, in clinical care, and teaching. We've
16 published extensively, and our work is highly
17 cited. I've been PI on at least 100 abuse-
18 potential and abuse-deterrent type studies.

19 A lot of the methodology that our group
20 developed is actually incorporated in the guidances
21 that are relevant to this. We either developed the
22 methodology or refined things that already had

1 existed.

2 So if I could have slide CP-15, the cut
3 point of 0.5 strikes me as being fairly arbitrary,
4 and what I've put up here are some data that might
5 suggest that lower cut points might be relevant to
6 this issue of the drug of antagonism that you see
7 by naltrexone. Naltrexone is a very potent
8 antagonist. In technical terms, it has a K_i which
9 is actually much lower than the binding that you
10 see with, for example, morphine and oxycodone.

11 So these are the data from the Pfizer
12 studies for their human abuse potential studies.
13 This shows the intravenous, intranasal, and oral
14 studies. In those studies, as you'll recall, the
15 intravenous and intranasal study showed huge
16 reductions. I say huge based on my experience.
17 These are very, very big effects of the naltrexone
18 on decreasing the E_{max} compared to the oxycodone
19 comparator.

20 In those studies, it was possible to look at
21 the ratio of naltrexone and oxycodone, and as you
22 can see for the intravenous and intranasal, this

1 was actually about 8 or 9 or 10 percent. This
2 would suggest that ratios of the antagonist to the
3 agonist much, much lower than 0.5 would give rise
4 to substantial antagonism of the opiate effects.
5 In fact, the oral study suggests that ratios that
6 are down in the order of a few percent would be
7 having an effect that would be clinically
8 important.

9 So the issue, as I see it, is those brown
10 boxes. As Dr. Donevan suggested, this doesn't mean
11 there's no naltrexone. The issue of lower cut
12 points -- my guess is that a lot of those brown
13 boxes are going to show that there is sufficient
14 naltrexone present to have a substantial degree of
15 antagonism.

16 Now, the second thing I want to address is
17 the question that came up about would having
18 naltrexone in there be perceived by abusers as
19 being a bad thing and they might avoid it. If I
20 could have KOL-8.

21 I'm aware of at least three published
22 studies; well, two published and one presented that

1 look at what drug abusers say about antagonist-
2 containing products. Now, this is one study that
3 we did in a group of drug abusers who tamper. And
4 we gave them examples of a number of real and
5 hypothetical products, and then we asked them using
6 a number of previously used and validated scales,
7 things like opiate attractiveness, and value of the
8 product, and how much they would pay, and their
9 likelihood of tampering with it.

10 Across the board, the product that at the
11 time was hypothetical was oxycodone and naltrexone
12 was always at the bottom of the list, and this is
13 consistent with the other publications. It was
14 previously mentioned in chat rooms that you see
15 talk by abusers that they don't like antagonists,
16 and from my experience clinically, that's exactly
17 the case. In my clinical work, I had lots of
18 contact with opiate-dependent individuals.

19 Now, of course, the internet makes -- there
20 can hardly be an abuser out there that is not aware
21 of narcotic antagonist pharmacology and what it can
22 do. You go to Bluelight or some of those other

1 sites, you'll see warnings about products, well,
2 like Embeda. That's the kind of comment you see.

3 Now, this is a little bit anecdotal. From
4 my experience, it's entirely consistent, and the
5 final thing I would say --

6 DR. BROWN: Excuse me. Could I get you guys
7 to wrap it up, please?

8 DR. SELLERS: I'm sorry? Yes, absolutely.
9 Just as I said, final comment would be that I've
10 done studies in individuals who are opiate
11 dependent, methadone dependent, for example, and
12 they are exquisitely sensitive to intravenous
13 antagonists like naloxone, doses of 0.1 or 0.2
14 milligrams.

15 So this kind of pharmacology coupled with
16 what the abusers think of an antagonist make me
17 pretty confident that just the presence of the
18 naltrexone as well as the pharmacology will make
19 this a robustly abuse-deterrent product.

20 DR. BROWN: Thank you for those
21 clarifications.

22 We're going to move on now to ask you some

1 specific questions, and we're going to go to
2 Dr. Gerhard first.

3 DR. GERHARD: Tobias Gerhard, Rutgers.
4 Well, let's stay with the infamous slide MO-48 to
5 start with --

6 DR. DONEVAN: MO-48.

7 DR. GERHARD: -- hopefully, a clarifying
8 question.

9 DR. DONEVAN: Yes.

10 DR. GERHARD: Could we get the slide up?

11 DR. DONEVAN: Yes.

12 DR. GERHARD: So I think this will address
13 all the issues that came up with time and how much
14 naltrexone is released, when. Do you have the
15 extraction profile that you show for solvent MO8
16 and M16? Do you have that for either M21, 22, or
17 15? How much release over time oxycodone versus
18 naltrexone? Is the naltrexone coming out in 21,
19 22, and 15, or does it look like MO8, just in a
20 different time?

21 DR. DONEVAN: You're asking M21 -- let me
22 just look at the -- M21, does it look like product

1 MO8? No, it doesn't look like product MO8 exactly.

2 I don't have the profile with me right now.

3 One thing I can tell you is the time for the
4 bar, okay? If we take M21, for instance, the first
5 brown shading is at three hours. Okay? And at
6 that point, we begin to see oxycodone extraction in
7 the absence of naltrexone.

8 DR. GERHARD: Well, the FDA information says
9 90 percent is extracted.

10 DR. DONEVAN: They actually corrected, I
11 believe, their statement and said that at six
12 hours, there was 90 percent extraction of
13 oxycodone.

14 DR. GERHARD: Okay. But how much naltrexone
15 at that time point?

16 DR. DONEVAN: At that time point, there was
17 greater than 30. I don't have the numbers right in
18 front of me, but it was greater than 30 percent
19 extraction.

20 DR. GERHARD: So that doesn't separate.

21 Then a question to MO-21.

22 DR. DONEVAN: MO-21.

1 DR. GERHARD: It might be for Dr. Wolfram.

2 DR. DONEVAN: Slide MO-21, you mean?

3 DR. GERHARD: Yes. Sorry.

4 DR. DONEVAN: Great.

5 DR. GERHARD: This is the single-arm long-
6 term effectiveness study. Do you have the average
7 daily doses over time? You show that the average
8 daily dose over the entire study is 62.5 milligrams
9 per day, but how much up-titration was there? Did
10 you look at that? Do you have those data?

11 DR. DONEVAN: Yes, we have looked at that.
12 Just to remind you, this is study 1001, which is an
13 open-label study.

14 Dr. Wolfram, would you like to come up,
15 please?

16 DR. WOLFRAM: Sure. So may I have slide
17 number EF-45 on the screen, please?

18 What you can see here is, at the visit, the
19 concentration, the average dose is in milligrams,
20 and you may remember that there was a four-week
21 titration period. And the patients were coming in
22 with pain scores of around 6, and in a matter of

1 four weeks, pain decreased significantly to a level
2 of around 4 and stayed at that level throughout the
3 12-week period.

4 What you can see here is that the doses
5 gradually increased. There was a taper period
6 involved, and from months 2 to 3 on, the doses
7 slightly increased. From month 6, you see on the
8 bottom on the average doses in this little table of
9 around 71 -- these are average doses in
10 milligrams -- stayed constant over the rest of the
11 time.

12 DR. GERHARD: Yes. But still over the
13 course of the study, they double, and they
14 certainly at the end exceed the average of 62 by a
15 significant margin.

16 DR. WOLFRAM: Yes. I may add here that this
17 is observed data, so this means there are the
18 patients dropping out at the end, of course, for a
19 reason, for insufficient pain relief or for
20 whatever reason in the end. They tend to increase
21 the doses in the end.

22 So these time points are not imputed data,

1 so this is observed data.

2 DR. GERHARD: So the Ns aren't the same for
3 each time point. They get smaller over time?

4 DR. WOLFRAM: Yes, correct.

5 DR. GERHARD: So in other words, if
6 everybody had been treated at even -- the people
7 who dropped out may have even required higher doses
8 than this?

9 DR. WOLFRAM: Exactly, yes.

10 DR. GERHARD: Thank you. And dose per day
11 or BID? I assumed that it was daily dose, but yes.

12 DR. WOLFRAM: Yes. So in that particular
13 study, patients were allowed to start the titration
14 with the once-daily 10-milligram dose, and then
15 proceed to 10-milligram BID, and stay on a BID
16 dosing throughout the study on whichever dose they
17 were at the stable level.

18 DR. GERHARD: Any number of --

19 DR. WOLFRAM: Daily, yes. So these are
20 daily doses BID.

21 DR. GERHARD: Thank you.

22 One last question to slide MO-62?

1 DR. DONEVAN: MO-62, please, thanks.

2 DR. GERHARD: This is for the question of
3 taking the drug again. To me, there is a
4 surprising difference in just taking without any
5 extraction the crushed oral form comparing to
6 oxycodone IR, either the 40-milligram or the 60-
7 milligram. One question would be, why not 80?

8 The other, is there any explanation that you
9 could come up for this difference because the whole
10 argument of the product is that the ratio of
11 naltrexone is responsible for the effect of the
12 abuse deterrence. The ratio stays the same, but
13 for the smaller dose, the scale score is 56.5. For
14 the 60-milligram, it goes up to 71, although the
15 ratio stays constant. We obviously don't know if
16 you don't have the data what happens at 80.

17 DR. DONEVAN: We didn't explore a higher
18 dose in this study. We did discuss the study with
19 the FDA, and in those discussions with the FDA,
20 selected these doses which are common doses used in
21 other abuse-deterrent studies with oxycodone.

22 Dr. Sellers, would you like to respond

1 regarding the significance of the data?

2 DR. SELLERS: Yes. The reason these studies
3 don't use higher doses is primarily a safety issue.
4 The fact there's no dose response apparent here
5 with 40 and 60 is largely because of the subject
6 selection criteria. These are recreational drug
7 users who go through a qualifying session where
8 they're given 40 milligrams of oxycodone. And they
9 have to be able to tolerate it and also report
10 drug-liking.

11 We very frequently see that with this group
12 of individuals who can tolerate 40 milligrams, it
13 doesn't mean by giving them more that they're
14 necessarily going to get more liking or whatever.
15 The side effects start to become evident, and this
16 is one of the reasons why you see on the
17 drug-liking score and here with the take drug again
18 that it's likely in this study that what you're
19 getting with higher doses is actually a little bit
20 of some of the adverse effects of the opiate.

21 So you get this plateau of effect. If you
22 gave 80, my guess is that you'll see antagonism of

1 some of the effects, but you'll also probably see a
2 score that's lower than what you have for the 60
3 because of the more adverse effects. It won't be
4 dose escalation.

5 DR. GERHARD: But that's not what the data
6 shows. The data shows that the likelihood of
7 taking the drug, wanting to take the drug again is
8 increasing. That it might be plateauing at higher
9 doses is completely not borne out in the data.
10 That's something that might be true, but we don't
11 know.

12 DR. BROWN: Dr. Sellers, what Dr. Gerhard is
13 saying is that, based on the linear data that we
14 have here, unless you have some scientific basis
15 for helping us to understand why there shouldn't be
16 a lower take drug again for 80 milligrams, that
17 would be what we would presume would happen.

18 DR. SELLERS: I would expect, in this group
19 of recreational non-dependent users that with 80
20 milligrams, you would see lesser desire to take
21 drug again.

22 DR. BROWN: I guess I don't understand that,

1 but maybe we can move on.

2 DR. SELLERS: For oxycodone on its own, yes.

3 DR. BROWN: Yes. No, we're talking about
4 ALO-02 60 versus oxycodone IR. We're talking about
5 going to 80 with 80.

6 DR. SELLERS: It is possible, obviously. I
7 mean, the product with higher doses, you will get
8 more opiate exposure, but compared to the IR, the
9 amount of increase will be less. So you're right,
10 you might with the AL see an increase. It might.
11 But if the question is around will this lead to
12 dose escalation, it doesn't follow from this kind
13 of study that that would be the behavior.

14 DR. BROWN: Okay. We're going to move.

15 DR. DONEVAN: I guess the only other comment
16 I would like to make is that the study was actually
17 powered for the two primary endpoints, which were
18 drug-liking and high and not for this specific
19 endpoint.

20 DR. BROWN: Dr. Gupta?

21 DR. GUPTA: I had a question again, I'm
22 sorry, about the solvents. I was just wondering,

1 the solvents in question that we're all discussing
2 on MO-48 specifically on slide MO-48, MO-44, both
3 of those slides, the graphs that you have inset in
4 there; is there a possibility to have them -- can
5 we look at them in a closer detail?

6 DR. DONEVAN: So we have M27 that we can
7 show you. If I can see -- I've just got to find
8 it, which slide it is.

9 We have this both for intact as well as
10 crushed ALO-02. Could we go to the crushed ALO-02
11 which is -- hang on one second because the title
12 says the same thing for both slides.

13 The first slide I'll show you is slide AH-2,
14 if we can pull that up on the screen, please.

15 This is solvent M27. It says "intact
16 pellets," but it's actually crushed pellets. This
17 is crushed pellet data. For a reference point, the
18 timeline goes from on the X axis from zero to 4
19 hours. That gives you the time.

20 You can see that even as early as at the
21 first extract, you see roughly 30 percent
22 extraction of oxycodone and approximately between 5

1 and 10 percent extraction of naltrexone. And as
2 time goes by, you see an increase in both oxycodone
3 extraction as well as naltrexone extraction.

4 DR. GUPTA: Can I just make sure I'm
5 understanding this correctly so that I can clarify
6 in my mind?

7 DR. DONEVAN: Yes.

8 DR. GUPTA: This graph is basically
9 demonstrating that at 4 hours approximately,
10 between 60 to 80 percent, somewhere in there
11 because I can't see the endpoint --

12 DR. DONEVAN: Yes, at 4 hours.

13 DR. GUPTA: -- of oxycodone is released and
14 about 20 plus percentage of naltrexone is released
15 under this particular intact solvent M27?

16 DR. DONEVAN: Yes. This is solvent M27 with
17 crushed pellets. It says "intact," but it's
18 crushed.

19 DR. GUPTA: It's actually crushed. All
20 right. Thank you. That's all. I wasn't clear.

21 DR. BROWN: Dr. Sprintz?

22 DR. SPRINTZ: Yes. I actually had a

1 question back to MO-18, and I was just kind of
2 curious because in the 12-week double-blind
3 placebo-controlled study where the patients were
4 screened and it had four to 6 weeks of an open
5 label of ALO-02 and then they went on to either
6 having double-blinded with ALO-02 or a placebo. I
7 guess if you -- when we look at -- I guess go to,
8 yes, MO-18.

9 Then when you look at it, I do understand
10 that the numbers were based off of taking it from
11 randomization to the end of study.

12 DR. DONEVAN: Yes.

13 DR. SPRINTZ: But if you actually look at
14 the screening, they went from an average pain score
15 of 7.1 to an end of study at 4.3 with the placebo.
16 I thought that was kind of interesting. I didn't
17 know if you had any information on what you
18 attribute that to.

19 They were placed on ALO-02 for four to six
20 weeks and then were actually taken off of it, but
21 their pain score at the end was actually pretty
22 significantly decreased from where they were at

1 screening.

2 DR. DONEVAN: Yes. I guess first to
3 reiterate, that we did see treatment effect, so
4 there was a separation between placebo and ALO-02
5 at the end of the double-blind treatment period.
6 What we saw with placebo was that there was less
7 return to their previous pain scores, which you
8 identify.

9 What I'd like to do is pull up Dr. Rauck,
10 who is an experienced pain physician, and has
11 participated in our study, and can describe, and
12 can comment further.

13 DR. RAUCK: Hello. Richard Rauck, Wake
14 Forest University and Carolina's Pain Institute.
15 I'm a paid independent consultant to Pfizer on
16 this. I was also an investigator on both the 02
17 and 01 trial and also in many of the other opioid
18 trials of this nature.

19 So it is a feature of enrolled in rich
20 randomized withdrawal design. In fact, if I can
21 have slide EF-27, it may show a little more of what
22 you're taking about and the nature of this.

1 As you see, I think the effect of opioids
2 and at least the efficacy of opioids are
3 demonstrated here in the open label part where they
4 do, as you noted, go from 7 down to really 3 at the
5 randomization. Those are all patients getting
6 opioid.

7 It's been an interesting phenomenon in these
8 trials. In almost all of them, if you look at
9 these, that as you noted, the placebo patients
10 don't go back to baseline. I think there's been
11 some interesting work by Irene Tracey, who does a
12 lot of fMRI stuff as well that if you look in brain
13 imaging and fMRI and look at analgesic areas that
14 we know light up, when they're on placebo in these
15 trials, the same analgesic areas light up.

16 Now, if you tell a patient he's on placebo,
17 it seems like that effect goes away. So it seems
18 like a unique characteristic of the experimental
19 design in analgesic trials in particular.

20 So they do separate from placebo. I think
21 you see again in the early phase the effect of the
22 drug because they all get the drug at that point,

1 right? They do have profound analgesia there, and
2 they do sustain the effect on drug over 12 weeks,
3 which is encouraging.

4 But you are correct that the placebo groups
5 don't rebound in these 12-week trials that way.

6 DR. BROWN: Dr. Shoben?

7 DR. SHOBNEN: This is good timing, actually,
8 because I was going to ask about the missing data
9 in your clinical trials. The briefing documents
10 touch briefly on the issue of missing data, and you
11 said you've done five sensitivity analyses and that
12 three of them, they all continued to favor the drug
13 and three of them were statistically significant.
14 But they were varying degrees of what I would
15 consider to be acceptable analysis data for missing
16 data.

17 So I was hoping you could comment some more
18 about that.

19 DR. DONEVAN: Yes. With that, I'll call
20 Dr. Wolfram up to the stand, please.

21 DR. WOLFRAM: May I have slide EF-7?

22 So here, you see the sensitivity analysis we

1 performed. The primary analysis, that's what you
2 saw in the previous slide, which is in the main
3 open document. And you see here the treatment
4 difference between 0.62, and then you see different
5 methods of imputation. For example, a complete
6 case or pattern mixture model, single-imputation
7 method, mixed-model, repeated measures, and
8 screening observation carried forward only.

9 If you look here, you can see that these
10 five additional analyses all shot into the same
11 direction, that treatment difference was favorable
12 and compared in the same direction as the primary
13 analysis. In fact, three of those analyses were
14 highly significant.

15 DR. BROWN: Dr. Winterstein?

16 DR. SHOBEN: Do you --

17 DR. BROWN: Sorry, sorry.

18 DR. SHOBEN: Can I -- do you have
19 information on the number of patients that were
20 both at the end of your 12-week study and at the
21 end of the 12-month study? So those graphs, it was
22 MO-21 for the open-label 12 months' study. We were

1 talking about it briefly with Dr. Gerhard's
2 question in terms of how many patients were
3 actually still on drug at that time. Do you have
4 that information available?

5 DR. WOLFRAM: So this is what you saw with
6 the sensitivity analysis. This was the 12-week
7 trial --

8 DR. SHO BEN: Right, I understand that.

9 DR. WOLFRAM: -- the controlled 12-week
10 trial. And for the 12-month trial, I cannot show
11 this data right now. And I think we did not
12 impute the missing data.

13 What we do have is we have completer data,
14 if you're interested in that.

15 DR. SHO BEN: Yes.

16 DR. WOLFRAM: If I can show the completer
17 data slide EF-47, actually, what you can see here
18 is the doses over time in patients who completed.

19 This shows you that basically the same
20 observed data in general we saw up to month six,
21 that the dose is lightly increased and then stayed
22 more or less on 75 to 76 milligrams per day.

1 DR. SHO BEN: Right. But what percentage of
2 patients who started the trial were still on the
3 study drug at, say, six months?

4 DR. WOLFRAM: If I can have slide EF-15, you
5 see the disposition of patients here, and what you
6 can see here of the total enrolled patients,
7 discontinued patients, so we split it into
8 opioid-naive and opioid-experienced patients. But
9 around 60 percent discontinued, and you see
10 completers around 37 to 40 percent.

11 DR. SHO BEN: Thank you.

12 DR. WOLFRAM: Would this answer your
13 question?

14 DR. SHO BEN: More or less, yes. Thank you.

15 DR. BROWN: Dr. Winterstein?

16 DR. WINTERSTEIN: At the risk of beating a
17 dead horse, I think we're still somehow trying to
18 get our arm around how much of a separation there
19 is in the extraction and then also how much the
20 naltrexone really affects the liking.

21 I heard two major comments with respect to
22 MO-48. One was these are mainly toxic solvents. I

1 know that we cannot talk about the toxic solvents,
2 but the three ones have one thing in common and
3 that is, they are not toxic and they are commonly
4 available.

5 DR. DONEVAN: Right. Yes.

6 DR. WINTERSTEIN: So that's, I think, one
7 very important consideration in thinking about how
8 likely it would be for an abuser to wait three
9 hours and get something nice out of it.

10 DR. DONEVAN: So that's true. I guess, just
11 in going back to Dr. Cone's comment regarding time
12 factor, so at the earliest time point where there's
13 at least 30 percent extraction, that's three hours
14 after extraction. And as Dr. Cone indicated,
15 typically, abusers like to get their drug extracted
16 much earlier than that.

17 DR. WINTERSTEIN: But it wouldn't be too
18 much trouble to get that particular solvent and
19 digest it. And if there were a plan, that would
20 certainly be not so hard.

21 Then the other part is this slide again,
22 that MO-62 slide that Dr. Gerhard brought up, where

1 there was a little bit of a misunderstanding how to
2 interpret the effect. Maybe we can look at this
3 one more time.

4 DR. DONEVAN: MO-62, please.

5 DR. WINTERSTEIN: But I think that's MO-62,
6 yes. I think that the comment about that these
7 type of subjects may not really appreciate a higher
8 dose explains why the brown bars pretty much stay
9 the same, right? That basically means you give
10 them higher doses and you don't get more liking out
11 of this anymore.

12 I think what Dr. Gerhard was referring to,
13 that the blue versus the brown catches up, and that
14 is a very important observation. So when we're
15 looking at the 40-milligram dose, there's clearly
16 strong separation, but when you're looking at the
17 60-milligram dose between the comparison of ALO-02
18 versus oxycodone IR, this is catching up. And this
19 is catching up quite significantly.

20 Since there is -- it's 0.7, so we are --
21 there's borderline statistical significance, and,
22 to me, I don't know how I would interpret a liking

1 of 70-something percent versus 80-something
2 percent. But there's not that clear separation
3 anymore.

4 What that means is, since we still have the
5 same ratio, as Dr. Gerhard already alluded to, of
6 the naloxone, the naloxone doesn't really seem to
7 combat that so much anymore. Now if we're thinking
8 about this, in those extraction studies, the same
9 thing would apply here. So the more I can reduce
10 the naloxone and increase my oxycodone, I might get
11 more effect out of it as well.

12 I think that's, to me, the major issue that
13 we're dealing with here. Does that make sense?

14 DR. DONEVAN: I guess, if I can comment, I
15 think you have to consider our abuse potential data
16 in terms of the totality of the evidence. If I
17 could show slide MO-60, please.

18 This is the drug-liking data for the oral
19 abuse-potential study, and you can see that we got
20 clear and significant differences at both 40
21 milligrams as well as 60 milligrams. This was the
22 drug-liking data where we saw roughly a 16-point

1 treatment difference.

2 If we go to the drug high data, MO-61, thank
3 you. We saw similar treatment differences between
4 the IR oxycodone and the corresponding crushed
5 ALO-02.

6 In the context of all the data, there seems
7 to be a significant difference both at the
8 40-milligram dose as well as the 60-milligram dose.
9 And I think it would be important for Dr. Sellers,
10 if he could come up, to at least describe the
11 meaningfulness of the differences that we're seeing
12 in these oral abuse-potential studies.

13 DR. SELLERS: I didn't answer the previous
14 question very well at all. And this is probably
15 not the forum to debate whether the overall drug-
16 liking or the Emax of drug-liking at a point in
17 time are the best measures.

18 What I can tell you is that the overall
19 drug-liking or take drug again measures are done at
20 typically 12 and 24 hours. So they require a
21 recollection of what is that's gone on, and
22 usually, as one of these somewhat boring study

1 sessions goes on, individuals get tired. They
2 start to have some opiate side effects.

3 What we see with the take drug again measure
4 is that the absolute values tend to be less than
5 you see with the high or the drug-liking simply
6 because it's a synthesis of what they've
7 experienced. And the other thing we see is that
8 the variance on the responses are higher.

9 So I think that what is going on here is
10 that the measure, which is appropriate to focus on
11 because it's got face validity, sounds like it
12 makes sense. I think it's just got more variance.
13 It's occurring later in time. Recollections are
14 not entirely as accurate as a moment-by-moment.

15 I think that the kind of way of looking at
16 it is look at the drug-liking, look at the high,
17 look at overall drug-liking, look at take drug
18 again and all the other measures which haven't been
19 presented here, but they all appear to be
20 convergent. And worst case, by chance, you might
21 have ended up with the result on the take drug
22 again not being significant.

1 DR. BROWN: Thank you, Dr. Sellers.

2 We're going to move on now to Dr. Hertz
3 giving us the charge to the committee.

4 **Charge to the Committee - Sharon Hertz**

5 DR. HERTZ: I know it's getting late in the
6 day, and many of you have been in that same spot
7 for two days. The good news is you're somewhat
8 familiar with what we're about to ask you in a
9 sense because it parallels a lot of what was done
10 yesterday.

11 Thank you for your time and consideration,
12 being here today.

13 As you think about these questions, in
14 particular, we're going to ask you specifically
15 whether you think there are properties that can be
16 expected to deter abuse by the three routes
17 identified. We're going to ask if you think that
18 the product should be approved for the indication
19 and, if approved, if it should have labeling.

20 I'd like you to keep in mind that we have
21 regulations that describe the conditions for which
22 we approve and not approve a product. There are

1 very specific deficiencies in an application that
2 support a decision to not approve. We don't have a
3 condition under the current regulations about a
4 reason to approve related to not being better than
5 what's already on the market.

6 That's often challenging because I know that
7 a lot of people are interested in furthering the
8 safety of our products. So as you think about the
9 reasons for your decision regarding approval or not
10 approval, please try to make sure that we have an
11 understanding of how you've decided to support your
12 vote, and we find that discussion as important as
13 the actual vote themselves.

14 So once again, thank you for your time, and
15 I look forward to hearing the remaining discussion
16 and voting.

17 **Questions to the Committee and Discussion**

18 DR. BROWN: Thank you, Dr. Hertz.

19 We'll now proceed with the questions to the
20 committee and the panel discussions. I would like
21 to remind public observers that while this meeting
22 is open for public observation, public attendees

1 may not participate except at the specific request
2 of the panel.

3 We can put the first discussion question on,
4 which I'll read. "Please discuss whether there are
5 sufficient data to support a finding that Troxyca
6 ER oxycodone hydrochloride and naltrexone
7 hydrochloride extended-release capsules has
8 properties that can be expected to deter abuse,
9 commenting on support for abuse-deterrent effects
10 for each of the three possible routes of abuse."

11 As you make your comments, I'm going to ask
12 that you comment on all three of these routes of
13 abuse.

14 Dr. Gerhard?

15 DR. GERHARD: Tobias Gerhard, Rutgers. So I
16 think the issue is really whether the two agents
17 basically can be separated and how much effort it
18 is. So obviously, we didn't discuss the specifics,
19 and it would be a lot of work for anybody to figure
20 out what the optimal conditions are, but in a
21 sense, unfortunately, in a time of the internet, if
22 there are ways to do it, this will come out.

1 I think the question is not that you'd have
2 to try 40 different approaches, if it's doable, it
3 will come out. So the question then is how
4 cumbersome is it, and obviously, the product has to
5 come out. Otherwise, it wouldn't be a drug
6 suitable to treat patients.

7 The problem here is that although it might
8 take with some of the conditions that work, that
9 succeed in getting that separation of naltrexone
10 from the oxycodone, that it might take a long time
11 but that the effort required to do it is minimal
12 and that the solvents or equipment used is minimal.
13 It's cheap, readily available. It takes some time,
14 but it's easily doable.

15 For some of the solvents, the ones that take
16 very long, I know it's not an exceeding amount of
17 time, but at the bottom of this slide that we saw,
18 so, for example, the number 8, that we have seen
19 that it completely separates them. No naltrexone
20 is extracted, only oxycodone.

21 For some of the others, we really didn't see
22 the data, so I'm happy to kind of take the

1 sponsor's word for it, but I didn't see data for
2 the three solvents discussed. There's one more
3 that's also similar that's somewhere in between. I
4 didn't see the data so I'm somewhat concerned.

5 I think at the end of the day, if there's a
6 way with low effort even if it takes some time to
7 extract selectively the oxycodone, then we really
8 have a problem, and that, in my mind, seems to be
9 the case. And that certainly affects the oral
10 route.

11 For the other two routes, it seems that the
12 whole idea of this product is that when crushed,
13 the two components don't separate. So I think, for
14 the nasal and intravenous routes, there is somewhat
15 more evidence, but again, the primary route of
16 abuse is oral, so I'm not sure whether one could
17 give selectively only abuse-deterrent for nasal and
18 intravenous if the oral route isn't met. I'm not
19 sure whether that's the intent of FDA or whether
20 that makes any sense.

21 DR. BROWN: Dr. Emala? Could you state your
22 name, please, sir?

1 DR. EMALA: Charles Emala, I want to
2 slightly disagree in the sense that in the bottom
3 column where the brown boxes appear does not mean
4 there's no naltrexone. It just means that the
5 cutoff points of the ratio and the total extracted
6 oxycodone has been achieved.

7 Furthermore, in general, I think from the
8 tone of the discussion, the cutoff points are
9 rather conservative in the sense that that doesn't
10 mean because there's a brown box there's no
11 protection. And I'm also encouraged by several of
12 the ingestible solvents, which actually with time
13 extract an increasing amount of naltrexone,
14 suggesting that you'd have to find that magic
15 window in those particular ingestible solvents.

16 So I think it's important not to
17 misinterpret the brown boxes as meaning that
18 there's all, or nothing, or no protection. It's
19 just that the arbitrary cutoffs of greater than 30
20 and a ratio of greater than 0.1 has been exceeded,
21 but we don't have evidence that that doesn't mean
22 there's still some deterrent potential.

1 I think in the overall incremental
2 advancement in abuse-deterrent formulation, I think
3 there's evidence here for oral abuse deterrence.
4 For both nasal and intravenous, I actually am
5 pleasantly surprised to see visual analog scales
6 that changed 20 to 30 points. I think some of
7 those measurements of clinical drug-liking and so
8 forth is quite impressive. I think there's
9 evidence for potential deterrence for all three
10 categories.

11 DR. BROWN: Dr. Gerhard?

12 DR. GERHARD: Just immediate response, I
13 completely agree that the brown box doesn't
14 indicate that no naltrexone is extracted, but in
15 the case of, let's say, solvent MO8 on slide MO-48,
16 it specifically shows the extraction profile over
17 time, and it shows no extraction of naltrexone at
18 any time point, if we can pull up slide MO-48.

19 That's basically the condition that's
20 somewhat similar to what happens in the digestive
21 tract where there is no naltrexone dissolved
22 because otherwise, the drug wouldn't work. So

1 that's inherent in having the drug working, but
2 that doesn't mean that it's not also causing a
3 problem.

4 In contrast, when looking at the profile for
5 M27, that's only on slide MO-45, there, I agree.
6 You see an extraction of -- so here, for solvent
7 MO8, you see no extraction of naltrexone even after
8 the entire timeline is extended.

9 So that's, I think, the problem in contrast,
10 slide MO-45. There, for solvent M27, that's
11 exactly what you described. There is extraction of
12 oxycodone, but there's also extraction of
13 naltrexone that's under 50 percent. But that
14 cutoff might not be -- and I agree, that cutoff
15 isn't necessarily the end-all here and the smaller
16 proportion might be sufficient.

17 But if it can really readily be completely
18 separated, then we have a problem.

19 DR. EMALA: If I could just respond to that,
20 I think you're referring specifically to MO8,
21 showing lack of --

22 DR. GERHARD: MO8 is the only one where we

1 saw the data.

2 DR. EMALA: Right, and MO8, if you look at
3 the brown box --

4 DR. GERHARD: Not MO8 crushed here, but MO8
5 in the complete pill because the crushing,
6 obviously, is the intention of the product, so here
7 in, yes, MO8.

8 DR. EMALA: Right. So in both cases, on
9 this intact pellet for MO8, there's no naltrexone
10 extracted whatsoever, but if you look at the time
11 point for when greater than 30 percent of oxycodone
12 occurs, it's at the very longest time point.

13 DR. GERHARD: Exactly, and we haven't really
14 seen it for M21, M22 or M15, and particularly also
15 for M14 which is somewhere in between the two, and
16 that will, I think, determine since I haven't -- I
17 would like to see the data to be sure that there
18 isn't true separation, and I haven't unfortunately.

19 If the situation is like for these solvents
20 M28 to M26 on the right-hand side, that's very
21 difficult to achieve in practice. If you have a
22 window of 20 minutes where enough oxycodone is

1 extracted to make sense, but you don't have the
2 naltrexone, then it comes later, that's something
3 that I think we wouldn't have to worry about it.

4 But if there is -- even if it takes some
5 time away to just safely extract the oxycodone
6 without getting naltrexone, then I think, even if
7 it takes some time, if it doesn't require effort, I
8 think it's a problematic situation that might very
9 much lead to abuse in practice.

10 DR. BROWN: But, Dr. Gerhard, would you not
11 agree that under 95 plus percent of every scenario
12 that's been presented to us, given the fact that
13 these are conservative estimates of the
14 relationship between oxycodone and naltrexone, that
15 the abuse-deterrent properties are held?

16 DR. GERHARD: But if no naltrexone is
17 extracted, if I can just throw it in a bottle of
18 solvent X -- and solvent X is something that I can
19 buy at the supermarket, and take home, and drink
20 right now. If I can just take 10 pills, throw it
21 in a bottle, let it stand for two days, what I have
22 then is a solution of oxycodone without extracting

1 naltrexone, and they just filter the remains out.
2 That takes two minutes of active effort, and I get
3 everything I want in a refreshing drink, then I
4 have a problem.

5 I haven't seen anything that convinces me
6 that that's not possible here.

7 DR. EMALA: Can I just follow? I think we
8 can agree there's no perfect irresistible
9 formulation for the solvents. The question where
10 you draw the line is some level of deterrence.

11 DR. GERHARD: Exactly. But I think, in
12 terms of time commitment, the important thing is
13 spending two hours in grinding something, that's a
14 lot of effort. Spending 30 seconds of throwing a
15 pill into something and then waiting two hours is
16 very different from that, although they both take
17 two hours of time or 12 hours of time.

18 But without active work and with readily
19 available and cheap ingredients, I can create a
20 solution that is readily consumable. That's
21 problematic. It's not the fault of the product.
22 It has to happen because that's what happens in the

1 digestive tract, but it's still a problem.

2 DR. DONEVAN: So can I comment? I don't
3 know if I'm out of place here commenting,
4 Dr. Hertz.

5 DR. HERTZ: I really think we need to
6 reserve the time for the discussion unless there's
7 another clarifying question raised.

8 DR. BROWN: Dr. Morrato?

9 DR. MORRATO: I appreciate both perspectives
10 here, and I think maybe in hindsight, showing the
11 heat graph raises more questions and concerns, then
12 maybe leads us down different pathways.

13 But I am troubled, though, by FDA's own
14 conclusions in the briefing document, which I think
15 Dr. Wolfe alluded to in the open session, their
16 comments that I think are similar to what
17 Dr. Gerhard's saying that the extraction is
18 relatively straightforward techniques. These
19 aren't exotic solvents. These are ingestible
20 solvents, and then common solvents under stress
21 conditions accelerate the separation and so forth.
22 So the fact that FDA is coming to those

1 conclusions, I'm having a hard time reconciling
2 then with the proposed labeling.

3 But I do understand, though, the argument
4 that Dr. Emala is saying. It's not all or nothing
5 necessarily. It's somewhat of an arbitrary cut
6 point. And it would have been helpful, given I'm
7 sure there was a lot of careful thought on what the
8 appropriate ratio was in the drug for its clinical
9 development, to have had some discussion around how
10 much is enough and so forth because we don't really
11 have evidence.

12 Is it just a little bit that gets extracted,
13 the naltrexone, that is sufficient, or do I have to
14 hit a certain threshold? I'm sure that was well
15 thought through in the clinical development in
16 choosing the ratio that they had. So I feel a
17 little uncomfortable reviewing all of these data,
18 and having a presentation, and then having experts
19 say, "Well, it's not all or nothing. Having
20 something in there is good enough," which I respect
21 them as experts in the field, but we really don't
22 have evidence to look at in order to make a

1 judgment based on data.

2 Maybe others around the committee have
3 familiarity as to the ratio of naltrexone to
4 opioids that makes a clinical difference.

5 DR. BROWN: Dr. Winterstein?

6 DR. WINTERSTEIN: I would like to echo what
7 was said. I think the issue is how much effort one
8 would consider to have to be overcome in order to
9 label something abuse deterrent. I think we all
10 agree that there is probably nothing that is
11 absolutely abuse deterrent. If we have a good
12 chemist, they will always be able to do something
13 with this, at least I trust chemists that they
14 might.

15 I think what Dr. Gerhard was trying to
16 relate to those of us who have memorized the
17 solvents on this infamous slide MO-48, M21, 22, and
18 15 -- these are the ones that show these
19 continuously brown bars down to the bottom.

20 These are those solvents that he described.
21 You can go into a supermarket, buy them, throw the
22 pills in there, and you will even enjoy what you

1 get out of it. That is a scenario that is
2 different from I'm in my kitchen, and I have to
3 come up with a small chemistry lab in order to
4 extract something.

5 I think that needs to be weighed against the
6 fact that if I'm just crushing them, which is
7 probably what the majority of people who try to
8 misuse those substances would try to do, if I just
9 crush them, then there clearly is a positive effect
10 of naloxone. We have seen that. How much that
11 effect is really there in particular with higher
12 doses, we're not completely sure about, either.

13 So I think the decision we need to make is
14 do we go from simple crushing to trying to dissolve
15 the substance and where would we start to set the
16 bar for what is really abuse deterrent. And having
17 had those discussions before, many of us have sat
18 in meetings before that have looked at abuse-
19 deterrent properties.

20 Oftentimes, it's about how do I get this
21 extract out of a gel, right, or anything along
22 these lines. So I think that's what we need to

1 weigh it against.

2 DR. BROWN: Dr. Gupta?

3 DR. GUPTA: Yes. I just want to comment on
4 the conversation. I think that I agree with both
5 of you that there is no perfect product that can be
6 developed, but what was striking was that there
7 were solvents that actually saw a fairly equal
8 ratio of naltrexone and oxycodone. That's great.

9 We didn't see that across the board, though.
10 There was just naltrexone that was low in some
11 solvents, some that was very high. That's where
12 I'm concerned. It doesn't matter how much was
13 released, in my opinion. I think abusers will take
14 what they can get. How long, it doesn't matter how
15 long it takes.

16 If we saw there was a ratio of equivalence
17 of naltrexone and oxycodone being distributed over
18 a fair amount of point of time, that would be more
19 convincing to me of preventing abuse. I don't know
20 if it's something you can create, though.

21 DR. BROWN: Dr. Perrone?

22 DR. PERRONE: Jeanmarie Perrone. I just

1 want to start by saying that, in the FDA briefing
2 document, they said in the safety evaluation,
3 "ALO-02 administered in doses ranging from 10
4 milligrams up to 80 milligrams BID for up to 12
5 months has a safety and tolerability profile
6 consistent with other opioids," which I will add,
7 are not safe.

8 We've seen data that shows that these people
9 are on these opioids with escalating doses over
10 time. This is what we know happens. So all these
11 abuse-deterrent formulations, to me, is a little
12 bit of smoke and mirrors about whether or not we
13 should approve another high-dose opioid with maybe
14 some modest ADF effects.

15 I would agree that once you have a recipe
16 and the recipe is similar to other recipes that are
17 working on other abuse-deterrent formulations, once
18 it's out there, it doesn't matter if there are
19 5,000 data points that didn't work. Once you get
20 the one data point that does work, it will be the
21 recipe that proliferates and is relatively simple
22 given what we've looked at.

1 I'm just concerned about the whole issue,
2 both the ADF properties and another high-dose
3 opioid. This idea that the 80 milligrams maybe has
4 the same likeability or even more likeability and
5 we didn't see the data at the highest doses; that
6 raises great concerns to me.

7 DR. BROWN: Dr. Sprintz?

8 DR. SPRINTZ: Hi. Michael Sprintz. Yes,
9 I'd like to echo a lot of what's already been said,
10 and one of the things to keep a focus on when we
11 think about the real world is that it's not just
12 abuse, but it's also diversion which creates the
13 market for addiction. So when we talk about an
14 addict only wanting five or 10 minutes and need my
15 fix right now, yes, that's one player in the
16 ecosystem of drug addiction and drug abuse.

17 When we have people who may be doctor
18 shoppers and drug diverters with the intent to
19 sell, what happens is -- when we look at street
20 fentanyl, so when fentanyl gets out on the street,
21 you have a significant increase in overdose deaths.
22 What we're doing here now is offering the ability

1 to get a large amount of oxycodone that can be
2 solubilized and then, if able to dry out, could be
3 added to other street drugs. That could also
4 increase the risk of overdose and death because now
5 you're having much more potent stuff out there.

6 I think that's really important to realize.
7 The second thing for me was that the same
8 technology that was used in Embeda at least
9 initially, as I understand it, did not get ADF
10 labeling at that point.

11 I guess I think, overall, the big concern
12 that I'm thinking about here is the ability with
13 the solvents that are easily accessible and that
14 the other half of this is the issue of diversion
15 and the overdose deaths that could occur as a
16 result of this stuff being diverted, dissolved, and
17 then sold.

18 DR. HERTZ: I want to clarify. This is
19 Dr. Hertz. I want to clarify that the lack of
20 Embeda getting abuse-deterrent language upon its
21 initial approval was not a result of the assumption
22 that we didn't think the data were meaningful, as

1 implied. It represented a very early product
2 evaluation, and we did not know at that time what
3 to do with them.

4 As a result, we opted not to label, not
5 because we decided it was good or bad. We decided
6 broadly that we were not yet ready to label
7 products with language relating to abuse-deterrent
8 properties. As we started getting more data from
9 more studies and more products, even different
10 types of products, coming in, we started coming
11 back to advisory committee, and we were discussing
12 it more.

13 We developed an approach that led to our
14 willingness to consider labeling under certain
15 conditions. I could tell you more if you think you
16 need to hear more, but the assumption that Embeda
17 did not get labeling in 2008 is not a reflection of
18 a decision that the data were problematic. It was
19 a reflection of where we as an agency and the
20 science of abuse-deterrent evaluation was at that
21 time.

22 DR. BROWN: Dr. Campopiano?

1 DR. CAMPOPIANO: I understand the context
2 and the criteria with which FDA will ultimately
3 make a decision, so I'm sharing this more as a
4 little bit of a thinking process. This whole
5 process of trying to arrive at abuse deterrence is
6 sort of a moving target, and we've been learning as
7 we went and trying to decide what's an acceptable
8 increment of improvement and so on.

9 There's something unique about the product
10 that we're looking at today, and that is that this
11 technology is already on the market. So we have an
12 opportunity, if you will, to make a better informed
13 decision if only we wait for postmarketing data.
14 That hasn't been an option in any of the other
15 products that we've looked at because the
16 technology had never been on the market before.

17 I'm just putting forward for consideration
18 the concern that, since this is an evolving
19 process, do we need that information before making
20 a by-the-seat-of-our-pants shotgun decision on this
21 being abuse deterrent? I don't have an answer on
22 one side or the other of that question. It's just

1 something I haven't discussed so far.

2 DR. BROWN: If I could comment on that,
3 we've been asking for postmarketing information on
4 a number of different derivative products for a
5 long period of time, and it doesn't appear that
6 we're going to get that information about any
7 products in the near term. So rather than saying
8 that we're not going to come here and deal with any
9 more products, I think that we're going to have to
10 make a concerted effort to deal with what we have
11 based on what we hear.

12 You are correct, though, in that the
13 decision-making process of the advisory committee
14 could be all wrong, and it could be all wrong
15 because the decisions that have been made over time
16 prior to the time that either you or I were on this
17 committee were based on information that was true
18 and unrelated and that postmarketing information
19 will give us some correctability to that, but that
20 is for the future. Unfortunately, nobody more than
21 I wants that information.

22 Dr. Shoben?

1 DR. SHOBNEN: Just a way in here, I do think
2 that there's sort of an interesting issue as to
3 where do you draw the line in terms of what is an
4 unacceptable incremental improvement enough to get
5 the abuse-deterrent labeling. For me at this point
6 in time, I think they have met that standard very,
7 very modestly.

8 I wouldn't expect any products to be worse,
9 particularly with the oral administration just
10 because of the potential for some level of
11 experimentation and then a relatively easy
12 extraction process to separate them.

13 But there is a significant time delay, and
14 as Dr. Emala was talking about, there is some
15 potential for some naltrexone to be released at the
16 same time. And it is better to me that what is
17 currently out there, at least in terms of deterring
18 some level of oral abuse.

19 Similarly, like the discussion yesterday, if
20 you do just crush it and take it, there is that
21 deterrent to -- the easiest way to abuse it orally
22 is a deterrent with this product.

1 Then I just wanted to add that I think that
2 nasal and intravenous has been touched on, but I
3 think there is significant evidence for the nasal
4 and intravenous deterrent.

5 DR. BROWN: Can the members of the advisory
6 committee speak to their differential thinking
7 between the various routes of administration and
8 then whether the oral route is more or less abuse-
9 deterrent versus nasal and IV? Anyone make a
10 comment on that?

11 Dr. Higgins?

12 DR. HIGGINS: I was persuaded more with the
13 oral and nasal than I was with the intravenous, and
14 that's largely because it was simulated assessment
15 and that was hard for me to use that as a basis for
16 Troxyca being safe for intravenous purposes. So I
17 would vote more for the oral and nasal than I would
18 intravenous.

19 DR. BROWN: Are there any other comments or
20 discussion about this question number 1, please
21 discuss whether there are sufficient data to
22 support a finding that Troxyca ER oxycodone

1 hydrochloride and naltrexone hydrochloride
2 extended-release capsules has properties that can
3 be expected to deter abuse commenting on support
4 for abuse-deterrent effects for each of the three
5 possible routes of abuse: oral, nasal, and
6 intravenous.

7 Dr. Emala?

8 DR. EMALA: I'll just throw a comment in to
9 respond to your question. I think, if you're
10 talking about the non-extracted formulation, if
11 you're talking about a crushed formulation, I think
12 the data is equally strong for all three
13 categories.

14 I think if you're on the side of the fence
15 that believes the extraction is an issue, then we
16 don't know that data because it hasn't been studied
17 as far as looking at extraction fraction for abuse
18 potential. One would assume that it would not be
19 good for any of the routes if you could
20 successfully extract it.

21 But I think for the data presented in the
22 non-extracted form, I think the data is strong for

1 deterrent in all three categories.

2 DR. BROWN: Any other? Dr. Shoben?

3 DR. SHO BEN: I would mostly agree. I would
4 just say that the nasal -- in order to abuse
5 nasally, you have to have the dried product so
6 that, if you go for an extracted route, then you
7 have to find a way to dry out the solvent. So in
8 my mind, that is actually the strongest abuse
9 deterrent here.

10 DR. BROWN: Dr. Winterstein?

11 DR. WINTERSTEIN: Yes. With respect to the
12 intravenous part, I'm not getting the feeling that
13 it's even the company's intent to have something
14 that is abuse deterrent for IV use because IV
15 use -- these are pellets, so IV use would mean that
16 it has to be dissolved, and we talked about
17 solvents. And there are solvents that could be
18 used that would preferentially dissolve oxycodone.

19 So the whole idea with the pellets is for
20 oral use, so I'm not completely sure why we would
21 think that the intravenous --

22 DR. BROWN: Because of the release of

1 naltrexone.

2 DR. WINTERSTEIN: Yes, but somebody --

3 DR. BROWN: The pharmacokinetic --

4 DR. WINTERSTEIN: -- would need to
5 manipulate the product at that point anyway. And
6 that would involve a solvent, and then we're back
7 to the solvent issue that we had before. That's
8 why I'm --

9 DR. EMALA: Charles Emala. I was just
10 responding to their IV simulated study suggesting
11 that the co-administration would be protected, but
12 I agree with you. It couldn't be without an
13 extraction step.

14 DR. BROWN: Dr. Gerhard?

15 DR. GERHARD: Tobias Gerhard. Just very
16 quickly, so obviously, I'm worried about the oral
17 route of abuse after extraction, but to
18 differentiate, I'm not looking for a -- I
19 understand the issue of abuse deterrence and that
20 that's not a guarantee that it can't be abused.
21 It's really an issue of effort, and I think I laid
22 out why I think that for the oral routes, some of

1 the solvents might make that very straightforward.

2 For the other routes, obviously, after
3 extraction if you could isolate oxycodone and then
4 dry it, you can use any of these routes of abuse.
5 But that extra work, I think that's then a
6 deterrent. If you have to spend days of work to
7 prepare a formulation for nasal or intravenous
8 abuse, in a sense, that's fine.

9 But if it's just minimal effort, which I
10 believe might be what is sufficient for oral abuse
11 after straightforward extraction, that's just a
12 different animal because it requires so much less
13 work. It requires some time, but the actual work
14 effort is fairly minimal.

15 DR. BROWN: Any other comments? If not, I'm
16 going to try my best -- Dr. Campopiano?

17 DR. CAMPOPIANO: Just one really quick
18 comment, it's not that I disagree with anything
19 that's been said, but I just want to put in the
20 real world of opioid misuse right now, which is if
21 you have even a somewhat burdensome process to get
22 a pharmaceutical pure product, if you compare that

1 from the drug user's perspective to the possibility
2 of using heroin with some unknown amount of acetyl
3 fentanyl that will kill you before you have a
4 chance to even say help, I think that we need to be
5 careful about not being too reductionistic about
6 the fiendish drug user who's only going to wait for
7 a couple of minutes before they need gratification.

8 The decision-making and risk benefit is a
9 little bit different in today's world in regards to
10 opioids for misuse because of the acetyl fentanyl
11 that is so widely available --

12 DR. BROWN: I think that your comments are
13 important, and I want to understand a little bit
14 better. I didn't really understand where you were
15 going with that with those comments.

16 DR. CAMPOPIANO: I was trying to be quick.
17 I'm sorry.

18 DR. BROWN: Don't worry about it.

19 DR. CAMPOPIANO: So there's illicitly
20 manufactured fentanyl that is a white powder, and
21 it's very widely present in parts of the country as
22 either a phony pill or a contaminant to different

1 degrees in white powder heroin. The user who
2 obtains these substances doesn't know that there
3 could be fentanyl in there or how much, and
4 fentanyl, as you know, is very quick acting and
5 rapidly fatal.

6 Even if there's someone
7 present -- typically, an overdose take a few hours.
8 You're under-ventilated for a period of time, so
9 there's a chance that somebody will stumble upon
10 you, and if that person happens to be able to call
11 9-1-1 or administer naloxone, you might live. But
12 this stuff is the kind of the needle-in-arm
13 overdose scenario, and it's causing a larger and
14 larger portion of overdoses among people who misuse
15 opioids.

16 So from the point of view of the drug user,
17 if this is what your alternative product is, the
18 idea of putting this pharmaceutical that you know
19 is manufactured by a reputable company through a
20 simple dissolution and then a drying process, even
21 if it takes some time, is probably not the kind of
22 barrier that it once was when heroin was more

1 expensive and not very pure. Now it's cheap, and
2 pure, and likely to kill you because it's got some
3 unknown amount of fentanyl in it.

4 There are even manufactured phony pills that
5 look like branded or generic versions of known
6 pharmaceuticals that actually don't contain that
7 pharmaceutical but contain illicitly manufactured
8 fentanyl.

9 I know the conversation has been a little
10 bit simplistic around the idea that a sufficient
11 deterrent is something that delays gratification
12 for a few minutes or requires a little bit of
13 effort. I think that's kind of simplistic to start
14 with. If drug users were that primitive, they
15 wouldn't probably survive in their substance abuse
16 for very long.

17 Then the world of substances that are
18 available on the street are much more deadly, and
19 so people are seeking ways to meet their own needs
20 and sustain themselves that are less likely to kill
21 them. So I don't know if I made it worse or I made
22 it more clear.

1 MS. CHAUHAN: Cynthia Chauhan. Am I correct
2 in what I'm hearing you say is we should not
3 underestimate the determination of the abuser?

4 DR. CAMPOPIANO: Yes, very simply and
5 eloquently put. Thank you.

6 DR. BROWN: Any other comments?

7 (No response.)

8 DR. BROWN: To summarize, I think the
9 committee is in a quandary about Troxyca ER. It
10 appears on one hand to fulfill criteria for abuse
11 deterrence by all three routes of abuse. On the
12 other hand, it also appears to be capable of being
13 manipulated in such a fashion that it relatively
14 effortlessly can be changed into a drug of abuse.

15 The data that have been presented today, in
16 my mind, are not clear in that regard. Having said
17 that, I think that the sponsor has been eloquent in
18 their presentation in trying to give us all the
19 information that is currently available, but it's
20 not apparent from what I can hear from the
21 community that we as a community have an
22 understanding of whether the abuse deterrence in

1 this drug is going to be robust.

2 A couple of other comments, the medication
3 does offer the ability to be used by patients that
4 have difficulty swallowing which is one thing that
5 I always think of.

6 There are questions about the issue of the
7 80-milligram formulation for this drug and whether
8 in general we should be putting high dose
9 formulations of long-acting opioids on the street,
10 not particular to this drug but really for all the
11 long-acting drugs that we see.

12 Lastly, there's still a question, in my
13 mind, as to whether the formulation of 80
14 milligrams begins to act like an immediate-release
15 formulation in terms of whether or not the taker
16 would use it again at a higher dose rate. I think
17 the postmarketing information would help us with
18 these things. Unfortunately, we don't have those.

19 Anybody have any other comments to add to my
20 choice of summary?

21 (No response.)

22 DR. BROWN: If not, we're going to take a

1 15-minute break. Panel members, please remember
2 that there should be no discussion of the meeting
3 topic during the break amongst yourselves or with
4 any member of the audience. We will resume at
5 3:20.

6 (Whereupon, at 3:06 p.m., a recess was
7 taken.)

8 DR. BROWN: So we're going to move to
9 question 2, "Should Troxyca ER be approved for the
10 proposed indication of management of pain severe
11 enough to require daily around-the-clock long-term
12 opioid treatment and for which alternative
13 treatment options are inadequate?"

14 Questions or comments prior to taking a vote
15 on this? We've had some discussion prior to this.
16 Hearing none --

17 DR. HERRING: Dr. Brown?

18 DR. BROWN: Yes.

19 DR. HERRING: If I could just make one
20 comment, I'm new to this committee, but from the
21 perspective of the reviews that we've had last
22 month and this month on abuse-deterrent

1 formulations, I would encourage the committee to
2 consider not only the totality of the data the
3 sponsor's presented beyond just the solutions and
4 dissolution profiles, but also the clinical data
5 and also to encourage consistency in the committee
6 with what we consider to be a reasonable degree of
7 incremental benefit, which we've discussed
8 previously, and keep that in mind in this case.

9 I think it is in our collective interest to
10 continue to figure out how we can make
11 modifications to these medications to help patients
12 and to serve the broader needs of the community.
13 And I think in this situation, some of the
14 conversation has to be kind of focus in on what I
15 think is a relative minority group in abusers that
16 would go to fairly extreme efforts in order to
17 manipulate a drug.

18 I'm just encouraging that we keep that in
19 mind in terms of context as we go forward talking
20 about this particular product. Thank you.

21 DR. BROWN: We will be using an electronic
22 voting system for this meeting. Once we begin to

1 vote, the buttons will start flashing and will
2 continue to flash even after you have entered your
3 vote. Please press the button firmly that
4 corresponds to your vote. If you're unsure of your
5 vote or wish to change your vote, you may press the
6 corresponding button until the vote is closed.

7 After everyone has completed their vote, the
8 vote will be locked in. The vote will then be
9 displayed on the screen. The DFO will read the
10 vote from the screen into the record.

11 Next, we will go around the room, and each
12 individual who voted will state their name and vote
13 into the record. You can also state the reason why
14 you voted as you did, if you want to. We will
15 continue in the same manner until all the questions
16 have been answered or discussed.

17 Once again, question 2, "Should Troxyca ER
18 be approved for the proposed indication of
19 management of pain severe enough to require daily
20 around-the-clock long-term opioid treatment and for
21 which alternative treatment options are
22 inadequate?"

1 If there are no questions or comments
2 concerning the wording of the question and no
3 further discussion, please press the button on your
4 microphone that corresponds to your vote. You'll
5 have approximately 20 seconds to vote. Please
6 press the button firmly.

7 After you have made your selection, the
8 light may continue to flash. If you're unsure of
9 your vote or you wish to change your vote, please
10 press the corresponding button again before the
11 vote is closed.

12 (Vote taken.)

13 DR. BEGANSKY: The vote is 9 yes, 6 no, zero
14 abstain.

15 DR. BROWN: Now that the vote is complete,
16 we're going to go around the table and everyone who
17 voted, state their name, vote and if you want to,
18 you can state the reason why you voted as you did
19 into the record.

20 I'm going to start with Dr. Gupta.

21 DR. GUPTA: Dr. Anita Gupta, I voted no. I
22 really appreciate the work that was done by the FDA

1 and the sponsor. I really recognize that there's
2 been significant progress that's been done, but I
3 have several concerns on the product that was
4 presented.

5 One, the imbalance of the ratio of release
6 of oxycodone, naltrexone with several simple
7 solvents, as we discussed, needs to be clarified in
8 detail. The amounts of drug released, the time
9 span of those release, the potential for further
10 manipulation after release needs to be clarified.

11 Second, there was a lack of clarify on how
12 much added value the product adds to prevent abuse
13 deterrence. And three, there was really a lack of
14 clarity on what happens with drug-liking at higher
15 dosages as compared to the control.

16 Although I understand a lot of the points
17 that were made about delivering a drug to provide
18 analgesia, the information presented today really
19 lacks a lot of clarity for me, and I think those
20 points need to be clarified.

21 I really encourage all of you, FDA and
22 sponsors, really to find those answers. There's

1 potential promise in the product you've presented,
2 potentially innovative solutions that can be
3 created that could be novel really to provide safe
4 use of opioids.

5 DR. BESCO: Kelly Besco, I voted yes. In
6 terms of it being an effective extended-release
7 product, not necessarily commenting on abuse
8 deterrence quite yet. I felt the evidence today
9 showed that it is as effective as current products
10 that are on the market. That's why I voted yes.

11 DR. WINTERSTEIN: Almut Winterstein, I voted
12 yes for similar reasons. I did not consider the
13 issue of abuse deterrence in my vote. I just
14 considered the issue of efficacy and usual risk in
15 my vote, and that's why I voted yes.

16 DR. MORRATO: Elaine Morrato and I voted
17 yes. Also took a more narrow interpretation in
18 terms of whether or not the data presented met
19 regulatory standards for approval, not issues on
20 the incremental market value and so forth. And my
21 issue was more on whether or not to grant the
22 abuse-deterrent claim or not, which we'll discuss

1 in the next votes.

2 DR. SHOBEN: Abby Shoben, I voted yes. I
3 think it does meet the current standard for
4 approval for an ER opioid. Whether or not that
5 standard is appropriate is a different issue, but I
6 think it has met the current standard.

7 DR. BROWN: Rae Brown, I voted yes.

8 MS. CHAUHAN: Cynthia Chauhan, I voted no.
9 My reasons are in line with Dr. Gupta's.

10 DR. KAYE: Alan Kaye, I voted yes for the
11 reasons mentioned. I think it meets the standards
12 as of today.

13 DR. EMALA: Charles Emala, I voted yes from
14 the context of its effectiveness as an analgesic.

15 DR. McCANN: Mary Ellen McCann, I voted yes.
16 It appears to be efficacious as an analgesic.

17 DR. CAMPOPIANO: Melinda Campopiano, I voted
18 no because it is demonstrated to be as safe and
19 effective as our standard, but the evidence would
20 seem to point to this class of drugs not being
21 particularly safe nor being particularly effective
22 for chronic pain in general. So I wasn't

1 comfortable voting yes for this product.

2 DR. SPRINTZ: I'm Michael Sprintz. I voted
3 no. When I interpreted the statement where it says
4 should it be approved for the proposed indication,
5 in terms of pain management, it said, "And for
6 which alternative treatment options are
7 inadequate."

8 Well, I think that we have plenty of
9 long-acting oxycodone preparations already that are
10 out there, some with abuse-deterrent properties and
11 some without, but in terms of just straight pain
12 management, I think there's a lot of alternatives
13 that are already on the market.

14 DR. PERRONE: Jeanmarie Perrone, I voted no.
15 I don't support another high-dose opioid on the
16 market unless it meant that it replaced other non-
17 abuse-deterrent formulations. The new guidelines
18 that we have from the CDC recommend against using
19 opioids for chronic pain, especially in the long
20 run.

21 If we don't start acknowledging other
22 guidelines that post-date the research that was

1 done for this drug, then each drug gets approved
2 based on the fact that another drug already got
3 approved. So at some point, we have to stop and
4 change what our criterion are.

5 DR. HIGGINS: Jennifer Higgins, I voted yes.
6 I was convinced by the efficacy and safety data.

7 DR. GERHARD: Tobias Gerhard, Rutgers. I
8 agree the product meets the current standard. It's
9 no less safe or less effective than the other
10 extended-release opioids. Nonetheless, I voted no
11 because I agree with some of the previous
12 commenters that the current standard is what
13 brought us the opioid epidemic that we're dealing
14 with, so we have to start making some changes at
15 some point.

16 DR. BROWN: If we can go to question 3, "If
17 approved, should Troxyca ER be labeled as an abuse-
18 deterrent product by the oral route of abuse?"

19 Are there any questions or comments
20 concerning the wording of the question? If not,
21 we'll open the floor for discussion or further
22 clarifying questions. And if there are none, we

1 will now begin the voting process.

2 Please press the button on your microphone
3 that corresponds to your vote. You'll have
4 approximately 20 seconds to vote. Please press the
5 button firmly after you've made your selection.
6 The light may continue to flash.

7 If you are unsure of your vote or wish to
8 change your vote, please press the corresponding
9 button again before the vote is closed.

10 (Vote taken.)

11 DR. BEGANSKY: The vote was 6 yes, 9 no,
12 zero abstain.

13 DR. BROWN: Now that the vote is complete,
14 we're going to go around the table and have
15 everyone who voted state their name, their vote.
16 And if you want to, you can state the reason why
17 you voted as you did.

18 This time we're going to start with
19 Dr. Gerhard.

20 DR. GERHARD: Tobias Gerhard. I voted no.
21 While I recognize the efforts of the sponsor and I
22 don't want to let the perfect be the enemy of the

1 good when we want to make progress with abuse-
2 deterrent formulations, I am worried that there is
3 the possibility to achieve significant extraction
4 and separation of the naltrexone, extraction of the
5 oxycodone and separation from the naltrexone with
6 common, readily available, and ingestible solvents.

7 It takes some time, but it's, I think, so
8 easy to do that I'm worried. I haven't seen enough
9 data to convince me otherwise, and we in a sense
10 have to start trying to raise the standards when it
11 comes to granting this status. As we've heard in
12 some of the public comments, if the perception is
13 that these drugs may be perceived by prescribers as
14 more safe, less likely to lead to addiction, all
15 things that have not been shown with any data, then
16 we have to be very careful with granting that
17 status.

18 Again, I think I said this yesterday, it
19 doesn't require abuse of these drugs to become
20 addicted to these drugs. So if granting that
21 abuse-deterrence status creates that impression, we
22 create an even bigger problem.

1 DR. HIGGINS: I'm Jennifer Higgins. I voted
2 yes. To my mind, abuse deterrent does not mean
3 abuse proof. I also think the benefits outweigh
4 the risk, and I support additional options for
5 consumers.

6 DR. PERRONE: Jeanmarie Perrone. I voted no
7 as per Dr. Gerhard.

8 DR. SPRINTZ: Michael Sprintz. I voted no
9 also for the reason as Dr. Gerhard.

10 DR. McCANN: Mary Ellen McCann. I voted
11 yes. I think the time is a significant deterrent,
12 so I would consider it a deterrent.

13 DR. CAMPOPIANO: Melinda Campopiano. I
14 voted no.

15 DR. EMALA: Charles Emala. I voted yes. I
16 thought this had two layers. One was the non-
17 extracted crushed product, which I thought the data
18 presented was quite strong in favor of a deterrent.

19 When I considered the extraction discussion,
20 I think we could all agree, it depends on where you
21 want to draw the line. But even in the worst case
22 scenario, if there's substantial time involved, we

1 could argue that in and of itself has a degree of
2 deterrence. So for those reasons, I voted yes.

3 DR. KAYE: Alan Kaye, I voted yes. I
4 believe as a clinician, naltrexone even at a very,
5 very low dose even in the most extreme extraction
6 version, the data is compelling enough to vote yes.

7 MS. CHAUHAN: Cynthia Chauhan, I voted no
8 for reasons already stated.

9 DR. BROWN: Rae Brown, I voted yes.

10 DR. SHOBN: Abby Shoben, I voted yes
11 largely for the reasons stated by Dr. Emala and
12 Dr. Kaye. I just wanted to reiterate this idea
13 that I think it's much stronger for the crushing
14 route of abuse than for the potential sort of
15 extraction. And barring additional data, I would
16 hope that the label claims would be making more
17 modest statements about the extraction possibility.

18 DR. MORRATO: Elaine Morrato, I voted no. I
19 was on the fence on this. I found it a very
20 difficult question. I recognize the reason why we
21 convene committees like this is we don't have
22 standards. And as I sat on several of these panels

1 trying to be internally consistent with myself,
2 it's challenging because it's a moving target even
3 over the last couple of months. Each company is
4 learning from the prior on how to present data, and
5 each company has a unique package of data.

6 So it's somewhat hard to be comparing apples
7 to oranges sometimes. And I recognize this makes
8 it difficult for sponsors as well as the FDA on how
9 you chart the course and what level of evidence is
10 sufficient, consistency of evidence across the
11 different categories of studies, how much
12 deterrence is enough, how much effort is needed to
13 overcome deterrence.

14 I ultimately voted no. Partly, I agree that
15 the non-extracted crushed manipulation, the studies
16 that were shown there did demonstrate abuse
17 deterrence across the Category 1 and Category 3
18 studies in terms of oral and the liking. But I
19 felt that the level of difficulty in overcoming was
20 enough for me to give pause and why I voted no.

21 What would be the evidence I would have
22 liked to have seen or discussed that we didn't have

1 time for today? I understand that some naltrexone
2 is better than no naltrexone. It's not an all or
3 nothing.

4 I would have liked to have seen some
5 data -- and perhaps the company has this data and
6 they can follow up with FDA with it -- trying to
7 better understand what's the minimum amount of
8 extraction that's necessary to have a clinical
9 benefit. How do you best interpret the brown
10 boxes?

11 You might even say I really wish they had
12 done a Category 3 study in which they had crushed
13 with some sort of form of extraction, not just the
14 physical manipulation, but something to do with the
15 chemical as well.

16 Ideally, this is the drug that's been on the
17 market longest. Maybe it didn't have the abuse-
18 deterrence claim, but it's certainly had the abuse-
19 deterrent formula. And it would have been nice to
20 have had some post-market evidence. I appreciated
21 the survey data that was presented in follow-up by
22 one of the sponsor's experts, and I wish we had had

1 more discussion around that sort of post-market
2 environment and how this kind of mechanism is
3 really being used other than some anecdotal
4 information on a website.

5 But I just want to lastly say that this is,
6 I think, exactly why it's important to have
7 advisory committees, to debate these issues
8 because, otherwise, the data that was presented
9 today isn't in the public domain, and all the
10 public sees is what's in a label, and it's a
11 sentence or two. And I recognize that you can't
12 have everything in the label, but this allows at
13 least this debate to be in public record and for
14 individuals and associations to be considering the
15 full breadth of information. Thank you.

16 DR. WINTERSTEIN: Almut Winterstein. I
17 voted no mainly for the same reasons that Dr.
18 Gerhard stated. But I would also like to point out
19 that this is a guess from all of us, how far people
20 will go, and that actually is the main reason I
21 voted no. Because what that means is we make a
22 label decision based on a guess. Whether it's in

1 one direction or the other, we basically don't
2 know.

3 I think that that shows us that we really
4 need to raise the bar for the types of studies that
5 are required to make labeling decisions like that.
6 Theoretically, I think ideally, as this
7 determination of abuse deterrence would really be
8 made postmarketing and not upon approval, because
9 we really don't know what people will do with those
10 medications.

11 So in general, perhaps that really should
12 not be a discussion that should happen in the
13 approval phase at all unless there really is the
14 magic bullet that shows up that we would all agree
15 that there is no way to abuse this particular
16 medication. But in general, I think that the
17 requirements to show that something really is abuse
18 deterrent, those standards should be reevaluated,
19 and they should be raised.

20 DR. BESCO: Kelly Besco. I voted no for
21 reasons that have been stated about the data and
22 the manipulation of the intact product.

1 DR. GUPTA: Dr. Anita Gupta, I voted no for
2 the reasons already mentioned and what we heard
3 from Dr. Gerhard.

4 I really believe that as a member of this
5 committee, it is our responsibility to really
6 redefine what the abuse-deterrence standards are.
7 I know there is guidance for industry, but given
8 the climate we're in, we have a lot of ownership on
9 making sure we define that standard, and that we
10 raise the bar, and that we're clear on what that
11 is.

12 I know there's a lot of discussion and we're
13 trying to figure that out as we go, but I don't
14 believe as we currently are represented with a
15 product that it truly showed that potential for
16 promise for abuse deterrence. There needs to be
17 more information that's provided so we can
18 understand that better.

19 DR. BROWN: We're going to move on to
20 question 4. Question 4 is, "If approved, should
21 Troxyca ER be labeled as an abuse-deterrent product
22 by the nasal route of abuse?"

1 Are there any questions or comments
2 concerning the wording of this question? If there
3 are not, then we'll move on to ask about clarifying
4 questions or discussion, further discussion. And
5 if there is not any further discussion, can we
6 please move on to a vote?

7 Please press the button on your microphone
8 that corresponds to your vote. You'll have
9 approximately 20 seconds to vote. Please press the
10 button firmly. After you've made your selection,
11 the light may continue to flash.

12 If you're unsure of your vote or you want to
13 change your vote, please press the corresponding
14 button until the vote is closed.

15 (Vote taken.)

16 DR. BEGANSKY: The vote is 11 yes, 4 no,
17 zero abstain.

18 DR. BROWN: Now that the vote is complete,
19 we're going to go around the table again and have
20 everyone who voted state their name, their vote and
21 if you want to, you can state the reason.

22 We're going to start with Dr. Gupta down

1 there.

2 DR. GUPTA: Dr. Gupta. I voted no for the
3 reasons already mentioned.

4 DR. BESCO: Kelly Besco. I did vote yes for
5 this one. I felt that there was sufficient data
6 that showed that when the product was crushed, it
7 did not separate.

8 DR. WINTERSTEIN: Almut Winterstein, I voted
9 no because I tried to be a consistent person. I
10 agree that simple crushing of the product will
11 likely result in less liking than in a product that
12 would not contain naltrexone. It comes back to the
13 discussion about effort, so essentially what would
14 make this still open to abuse would be if the
15 substance were first dissolved, and then dried, and
16 then nasally used.

17 Obviously, this is a little bit more
18 complicated, so I understand why some of my
19 committee colleagues voted yes, but again, this is
20 a guess of how far people would go in order to
21 manipulate a product because we really don't know
22 the data for this.

1 That's the main reason I voted no. I really
2 think that we need to have different standards for
3 the evaluation of abuse deterrence upon approval in
4 order to make that determination.

5 DR. MORRATO: Elaine Morrato, and I voted
6 yes. I agree with Dr. Winterstein that in terms of
7 standards and that discussion and what's really
8 appropriate at time of approval, I would agree.

9 I was applying the current standards that we
10 have. So why did I switch on this one? I still
11 have the same concerns around the extraction. I
12 still recognize that the abuse-deterrent Category 3
13 studies did show crushing was a deterrent. So I
14 was sort of swung over by Dr. Shoben's comment
15 earlier that the extra step of extraction and
16 drying would be another layer, and barrier, and a
17 deterrent.

18 Being on the fence for this one, I swung
19 over the fence and said yes on abuse-deterrent
20 claim. But we still need more postmarketing.

21 DR. SHOBNEN: Abby Shoben. I voted yes for
22 reasons that I stated during the discussion, and it

1 was really significant separation between the
2 crushed, this product and the immediate-release
3 form in the Category 3 studies. It was really
4 quite compelling.

5 DR. BROWN: Rae Brown, and I voted yes.

6 MS. CHAUHAN: Cynthia Chauhan, I voted yes.

7 I thought the data was better for the nasal than
8 for oral.

9 DR. KAYE: Alan Kaye. I voted yes for the
10 reasons already mentioned.

11 DR. EMALA: Charles Emala, I voted yes.

12 DR. McCANN: Mary Ellen McCann, I voted yes.

13 DR. CAMPOPIANO: Melinda Campopiano, I voted
14 no, and it has to do with -- I guess I fall in the
15 other side of the line of how much of a barrier the
16 manipulation of the product represents.

17 I also, much as I know FDA and the sponsor
18 are working in the environment of the now, just
19 couldn't bring myself to green-light it without
20 postmarketing data. I feel like that's even more
21 important to give it this positive endorsement on
22 partial evidence.

1 DR. SPRINTZ: I'm Michael Spritz, and I
2 voted no. I do agree that when taken in the narrow
3 context of just crushing without extraction, that
4 that has deterrent properties. However, I think
5 with the extraction, I think that that actually has
6 a significant thing.

7 The other thing I wanted to mention to is
8 the idea of unintended consequences of labeling
9 abuse deterrent and the importance that we need to
10 educate prescribers on actually understanding abuse
11 as well as diversion and addiction in both the
12 nature of those things and the differences between
13 them, but also how to identify abuse, diversion,
14 and addiction and what to do if a prescriber runs
15 into that.

16 I think part of that should be involved when
17 we talk about abuse deterrence. It also involves
18 education as well.

19 DR. PERRONE: Jeanmarie Perrone. I voted
20 yes.

21 DR. HIGGINS: Jennifer Higgins. I voted
22 yes.

1 DR. GERHARD: Tobias Gerhard, I voted yes,
2 and also switched from the previous vote for the
3 same reasons Dr. Morrato did, I think, here. The
4 extra effort is enough to warrant a deterrence
5 claim.

6 However, I'm echoing Dr. Sprintz. I think
7 it's critically important that if the drug is
8 approved and abuse-deterrent labeling for any route
9 is granted, that some language is included in the
10 same section that makes it clear that abuse-
11 deterrent formulation does not protect from
12 addiction. I think that's just something that's
13 critically important, not just for this product but
14 generally for opioids that want an abuse-deterrent
15 claim on the labeling.

16 DR. BROWN: Let's move on to question
17 number 5, our last question. "If approved, should
18 Troxyca ER be labeled as an abuse-deterrent product
19 by the intravenous route of abuse?"

20 Are there any questions or comments
21 concerning the wording of this question? If not,
22 are there any questions or comments about

1 clarifications relating to our previous
2 discussions? If there are none, let's move on to
3 our vote. Please press the button on your
4 microphone that corresponds to your vote. You'll
5 have approximately 20 seconds to vote.

6 (Vote taken.)

7 DR. BEGANSKY: The vote is 9 yes, 6 no, zero
8 abstain.

9 DR. BROWN: We're going to go around the
10 table, and I think it's Dr. Gerhard's turn to
11 start.

12 DR. GERHARD: Tobias Gerhard. I voted yes
13 for all the reasons I stated in the previous
14 question.

15 DR. HIGGINS: Jennifer Higgins. I voted no
16 for the reasons I mentioned earlier.

17 DR. PERRONE: Jeanmarie Perrone. I voted
18 yes, and I would like to say that perhaps we can
19 move towards -- if we can get more abuse-deterrent
20 formulations on and all the other ones off the
21 market, that would be great.

22 DR. SPRINTZ: Michael Sprintz, and I voted

1 no for all the reasons I've stated previously.

2 DR. CAMPOPIANO: Melinda Campopiano. I
3 voted no for reasons stated previously.

4 DR. McCANN: Mary Ellen McCann. I voted
5 yes.

6 DR. EMALA: Charles Emala. I voted yes.

7 DR. KAYE: Alan Kaye. I voted yes.

8 MS. CHAUHAN: Cynthia Chauhan. I voted no.

9 DR. BROWN: Rae Brown, I voted yes, and
10 since this is the last vote, I'm going to take the
11 opportunity to make a few comments.

12 The current requirements for the FDA place
13 the agency in a situation where there's not much
14 room not to approve drugs such as this no matter
15 what we want. However, what we've heard over the
16 last two days -- and I think it's beginning to be
17 quite repetitive -- is we're beginning to hear a
18 drum beat for limiting the number of ER/LA drugs on
19 the market.

20 I think it's important that with the larger
21 federal juggernaut of actions that are going on,
22 that some consideration be given to consideration

1 of that at some higher level.

2 I've heard continuous entreaties to develop
3 some standards to promulgate to sponsors for how
4 much is enough abuse deterrent and how can that be
5 maintained in any drug, and I think that's very
6 important.

7 The third thing I would say is that some of
8 these abuse-deterrent drugs have been on the market
9 for quite a long time now. And as I said before, I
10 really fear that this committee and perhaps the
11 agency are making decisions about drugs such as
12 this in a vacuum of no data. And I worry
13 constantly about our inability to do the right
14 thing, which I think we all want to do, without
15 being able to see postmarketing data.

16 So once again, I'm going to ask that the
17 agency make some concerted effort to get those data
18 out so that we can begin to evaluate them so that
19 we can know if the decisions that we're making are
20 the right decisions.

21 DR. SHOBEN: Abby Shoben, I voted yes.

22 DR. MORRATO: Elaine Morrato, and I voted

1 yes for the reasons stated for my vote for the
2 nasal route. I'm going to add an additional
3 comment as well. I think it's important also for
4 consistency that in light of today's discussion
5 that the Embeda labeling should also be reviewed.
6 Unless there's data to the contrary, the underlying
7 mechanism of deterrence is the same, and I think
8 it's very important that the statements around the
9 deterrent properties be consistent.

10 We also discussed briefly earlier about that
11 statement of around how you imply the degree of
12 dissolution and all of that could be vague and
13 could be over-interpreted as opposed to
14 conservatively interpreted.

15 I think there would be value in looking back
16 at that. Softening the language, I guess, is how
17 Dr. Emala had mentioned it and that that be
18 consistently applied across both of the drugs
19 unless there's data to contradict that.

20 Then another piece here is, again, we're
21 trying to ensure consistency across these various
22 committees. There's going to be future ones as

1 well. I just really, as I mentioned yesterday,
2 encourage the FDA as we develop future briefing
3 documents that the rolling history of the decisions
4 that are being made get continued and updated.

5 We already had in our briefing document
6 today a drug that was reviewed a couple of months
7 ago, and so understanding how the FDA came to
8 decisions when the advisory committee voted one way
9 or another is helpful in helping us all
10 standardize. Not all of us are going to be on all
11 committees at all time, and I think it's part of
12 the learning process to make sure that we are
13 consistent in how we're applying our thinking in
14 terms of building out the standards ourselves.

15 DR. WINTERSTEIN: Almut Winterstein. I
16 voted no for the reasons already stated.

17 DR. BESCO: Kelly Besco. I voted yes for
18 reasons I stated with the last vote.

19 DR. GUPTA: Dr. Anita Gupta. I voted no for
20 the reasons previously stated.

21 DR. BROWN: If there are no more comments,
22 before we adjourn, are there any last comments from

1 the FDA?

2 DR. HERTZ: Just one more thank you to all
3 of you. It's really been interesting to be working
4 on these products over the years and to hear the
5 evolution of the comments from the committee
6 members. And we'll take all of this conversation
7 back for further discussion within the agency and
8 see if we can evolve some of our thinking.

9 **Adjournment**

10 DR. BROWN: If everybody on the advisory
11 committee will just remember to pick all of your
12 belongings with you, the room is cleaned at the end
13 of the day. All materials left on the table will
14 be disposed of. Please remember to drop off your
15 name badge at the registration table so that it may
16 be recycled.

17 I'd like to just add my thanks to all of
18 you. You've been great over the last two days, and
19 thank you.

20 (Whereupon, at 3:55 p.m., the open session
21 was adjourned.)

22