

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ENDOCRINOLOGIC AND METABOLIC DRUGS
ADVISORY COMMITTEE (EMDAC) MEETING

Tuesday, June 28, 2016
7:59 a.m. to 4:43 p.m.

Hilton Washington DC/Rockville
Hotel & Executive Meeting Center Plaza Ballroom
1750 Rockville Pike
Rockville, MD 20852

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **LaToya Bonner, PharmD, NCPS**

4 Division of Advisory Committee and Consultant
5 Management

6 Office of Executive Programs, CDER, FDA

7
8 **ENDOCRINOLOGIC AND METABOLIC DRUGS ADVISORY**

9 **COMMITTEE MEMBERS (Voting)**

10 **Daniel Budnitz, MD, MPH**

11 Medical Officer, Division of Healthcare Quality
12 Promotion

13 Director, Medication Safety Program

14 Centers for Disease Control and Prevention

15 Atlanta, Georgia

16
17 **David W. Cooke, MD**

18 Associate Professor of Pediatrics

19 Interim Director, Pediatric Endocrinology

20 Johns Hopkins University School of Medicine

21 Baltimore, Maryland

22

1 **Brendan M. Everett, MD, MPH**

2 Assistant Professor of Medicine

3 Harvard Medical School

4 Director, General Cardiology Inpatient Service

5 Brigham and Women's Hospital

6 Boston, Massachusetts

7

8 **Diana Hallare, MPH**

9 *(Consumer Representative)*

10 Visalia, California

11

12 **Susan R. Heckbert, MD, PhD**

13 Professor

14 Department of Epidemiology

15 University of Washington

16 Cardiovascular Health Research Unit

17 Seattle, Washington

18

19

20

21

22

1 **William R. Hiatt, MD, FACP, FAHA**

2 Professor of Medicine/Cardiology

3 University of Colorado School of Medicine

4 President CPC Clinical Research

5 Aurora, Colorado

6

7 **James D. Neaton, PhD**

8 Professor of Biostatistics

9 Division of Biostatistics

10 School of Public Health

11 University of Minnesota

12 Minneapolis, Minnesota

13

14 **Robert J. Smith, MD**

15 *(Chairperson)*

16 Professor of Medicine (Endocrinology)

17 The Warren Alpert School of Medicine

18 Professor of Health Services, Policy and Practice

19 Brown University

20 Providence, Rhode Island

21

22

1 **Peter W. F. Wilson, MD**

2 Director

3 Epidemiology and Genomic Medicine

4 Atlanta Veterans Administration Medical Center

5 Professor of Medicine and Public Health

6 Emory University

7 Emory Clinical Cardiovascular Research Institute

8 Atlanta, Georgia

9
10 **Susan Z. Yanovski, MD**

11 Co-Director, Office of Obesity Research

12 Senior Scientific Advisor for Clinical Obesity

13 Research

14 National Institute of Diabetes and Digestive and

15 Kidney Diseases (NIDDK)

16 National Institutes of Health (NIH)

17 Bethesda, Maryland

18

19

20

21

22

1 **ENDOCRINOLOGIC AND METABOLIC DRUGS ADVISORY**

2 **COMMITTEE MEMBER (Nonvoting)**

3 **Reshma Kewalramani, MD, FASN**

4 *(Industry Representative)*

5 Vice President, US Medical Organization

6 Thousand Oaks, California

7

8 **TEMPORARY MEMBERS (Voting)**

9 **Leslie Cho, MD**

10 Section Head, Preventive Cardiology and

11 Rehabilitation

12 Director, Women's Cardiovascular Center

13 Cleveland Clinic

14 Cleveland, Ohio

15

16 **James De Lemos, MD**

17 Professor of Medicine and Cardiology

18 University of Texas Southwestern Medical Center

19 Dallas, Texas

20

21

22

1 **Judith Fradkin, MD**

2 Director

3 Division of Diabetes, Endocrinology and

4 Metabolic Diseases, NIDDK, NIH

5 Bethesda, Maryland

6

7 **David Good, MD**

8 Professor and Chair

9 Department of Neurology

10 Penn State Milton S. Hershey Medical Center

11 Hershey, Pennsylvania

12

13 **Marvin A. Konstam, MD**

14 Professor of Medicine, Tufts University

15 Chief Physician Executive

16 The Cardiovascular Center, Tufts Medical Center

17 Boston, Massachusetts

18

19

20

21

22

1 **Melissa Li-Ng, MD**

2 Consultant Endocrinologist

3 Cleveland Clinic Abu Dhabi

4 Abu Dhabi, United Arab Emirates

5 Staff Physician

6 Department of Endocrinology, Endocrinology and

7 Metabolism Institute, Cleveland Clinic

8 Cleveland, Ohio

9

10 **Richard Lumley, EdD**

11 *(Patient Representative)*

12 Kansas City, Missouri

13

14 **Kevin D. McBryde, MD**

15 Medical Officer, Division of Extramural Research

16 National Institute of Dental and Craniofacial

17 Research, NIH

18 Bethesda, Maryland

19

20

21

22

1 **Paul Palevsky, MD**

2 Chief, Renal Section

3 VA Pittsburgh Healthcare System

4 Professor of Medicine and Clinical & Translational

5 Science, University of Pittsburgh School of

6 Medicine

7 Pittsburgh, Pennsylvania

8
9 **Michael Proshan, PhD**

10 Mathematical Statistician

11 Biostatistics Research Branch

12 National Institute of Allergy and Infectious

13 Diseases, NIH

14 Bethesda, Maryland

15
16 **Yves Rosenberg, MD, MPH**

17 Branch Chief, Atherothrombosis and Coronary

18 Artery Disease Branch

19 Adult and Pediatric Cardiac Research Program

20 Division of Cardiovascular Services

21 National Heart, Lung, Blood Institute, NIH

22 Bethesda, Maryland

1 **Morris Schambelan, MD**

2 Professor Emeritus of Medicine

3 University of California San Francisco

4 San Francisco, California

5
6 **Abraham Thomas, MD, MPH**

7 Senior Vice President and Chair

8 Department of Medicine

9 New York University Lutheran

10 Brooklyn, New York

11
12 **FDA PARTICIPANTS (Non-Voting)**

13 **Jean-Marc Guettier, MD**

14 Director, Division of Metabolism and Endocrinology

15 Products (DMEP)

16 Office of Drug Evaluation II (ODE-II)

17 Office of New Drugs (OND), CDER, FDA

18
19 **Norman L. Stockbridge, MD, PhD**

20 Director

21 Division of Cardiovascular and Renal Products

22 ODE-I, OND, CDER, FDA

1 **William H. Chong, MD**

2 Clinical Team Lead

3 DMEP, ODE-II, OND, CDER, FDA

4

5 **Jennifer Clark, PhD**

6 Mathematical Statistician

7 Division of Biometrics II, Office of Biostatistics

8 Office of Translational Sciences, CDER, FDA

9

10 **Andreea Lungu, MD**

11 Clinical Reviewer

12 DMEP, ODE II, OND, CDER, FDA

13

14

15

16

17

18

19

20

21

22

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Robert Smith, MD	14
5	Conflict of Interest Statement	
6	LaToya Bonner, PharmD	20
7	FDA Introductory Remarks	
8	Jean-Marc Guettier, MDCM	25
9	Applicant Presentations - Boehringer Ingelheim	
10	Introduction	
11	Hans-Juergen Woerle, MD	37
12	Context and Background	
13	Prof. Bernard Zinman	38
14	Design	
15	Hans-Juergen Woerle, MD	43
16	Cardiovascular Outcomes	
17	Uli Broedl, MD	53
18	Safety, Data Quality, and Integrity	
19	Hans-Juergen Woerle, MD	66
20	Clinical Perspective	
21	Prof. Bernard Zinman	75
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	Summary	
4	Hans-Juergen Woerle, MD	77
5	Clarifying Questions to Applicant	78
6	FDA Presentations	
7	The EMPA-REG OUTCOME Study	
8	Andreea Lungu, MD	115
9	Statistical Assessment	
10	Jennifer Clark, PhD	135
11	Clarifying Questions to FDA	176
12	Open Public Hearing	205
13	Clarifying Questions (continued)	217
14	Questions to the Committee and Discussion	255
15	Adjournment	374
16		
17		
18		
19		
20		
21		
22		

P R O C E E D I N G S

(7:59 a.m.)

Call to Order

Introduction of Subcommittee

1 DR. SMITH: Good morning again. I would
2 like to start out by reminding everyone to please
3 silence your cell phones, smartphones, or any other
4 devices if you have not already done so. And I
5 would also like to identify the FDA press contact,
6 Theresa Eisenman; if you are present, Theresa, in
7 the back with her hand up.
8

9 My name is Robert Smith. I am the
10 Chairperson of the Endocrinologic and Metabolic
11 Drugs Advisory Committee, and I will be chairing
12 this meeting. I will now call the Endocrinologic
13 and Metabolic Drugs Advisory Committee Meeting to
14 order. We'll start by going around the table and
15 introduce ourselves. We will start with the FDA to
16 my left and then proceed around the table.
17

18 DR. GUETTIER: My name is Jean-Marc
19 Guettier. I'm the division director in the
20 Division of Metabolism and Endocrinology Products
21
22

1 at the FDA.

2 DR. CHONG: William Chong. I'm the clinical
3 team leader in the Division of Metabolism and
4 Endocrine Products.

5 DR. LUNGU: Andreea Lungu, a clinical
6 reviewer, Division of Metabolism and Endocrinology
7 Products.

8 DR. STOCKBRIDGE: Good morning. I'm Norman
9 Stockbridge from the Division of Cardiovascular and
10 Renal Products at FDA.

11 DR. SCHAMBELAN: I'm Morrie Schambelan,
12 professor of medicine, Division of Endocrinology,
13 University of California San Francisco.

14 DR. BUDNITZ: Dan Budnitz with the
15 medication safety program at the Centers for
16 Disease Control and Prevention.

17 DR. COOKE: David Cooke. I'm an associate
18 professor of pediatrics and the acting director of
19 pediatric endocrinology at the Johns Hopkins
20 University School of Medicine.

21 DR. NEATON: Good morning. Jim Neaton,
22 professor of biostatistics, University of

1 Minnesota.

2 DR. CHO: Leslie Cho, director of women's
3 cardiovascular center and section head of
4 preventive cardiology at Cleveland Clinic.

5 DR. FRADKIN: Judy Fradkin, director of the
6 Division of Diabetes, Endocrinology and Metabolic
7 Diseases at the National Institute of Diabetes and
8 Digestive and Kidney Diseases, NIH.

9 DR. ROSENBERG: Good morning. My name is
10 Yves Rosenberg. I'm the chief of the
11 atherothrombosis and coronary artery disease branch
12 in the Division of Cardiovascular Sciences at the
13 National Heart, Lung, and Blood Institute, the NIH.

14 DR. MCBRYDE: Good morning. My name is
15 Kevin McBryde. I'm a pediatric nephrologist and a
16 medical officer at the National Institute of Dental
17 and Craniofacial Research at the NIH.

18 DR. EVERETT: Good morning. I'm Brendan
19 Everett. I'm the director of the General
20 Cardiology Inpatient Service at the Brigham and
21 Women's Hospital and assistant professor of
22 medicine at Harvard Medical School.

1 DR. BONNER: Good morning. LaToya Bonner,
2 designated federal officer for this meeting.

3 DR. SMITH: I'm Robert Smith. I'm a
4 diabetes and endocrinology clinician and
5 researcher. I'm a professor in medicine, and also
6 in the School of Public Health at Brown University.

7 DR. THOMAS: Abraham Thomas, chief of
8 medicine, NYU Lutheran, and vice chair of medicine,
9 NYU School of Medicine.

10 DR. KONSTAM: Marv Konstam. I direct the
11 cardiovascular center at Tufts Medical Center in
12 Boston.

13 DR. LI-NG: Melissa Li-Ng, consultant
14 endocrinologist, Cleveland Clinic Abu Dhabi.

15 DR. GOOD: Good morning. I'm David Good,
16 professor and chair of neurology at Penn State
17 College of Medicine.

18 DR. DE LEMOS: James De Lemos, cardiologist
19 and professor of medicine at UT Southwestern in
20 Dallas.

21 MS. HALLARE: Good morning. Diana Hallare,
22 consumer representative.

1 DR. PALEVSKY: I'm Paul Palevsky. I'm a
2 nephrologist, professor of medicine at the
3 University of Pittsburgh School of Medicine and
4 chief of renal at the Pittsburgh VA.

5 DR. WILSON: Peter Wilson, professor of
6 endocrinology and preventive cardiology and public
7 health at Emory.

8 DR. HECKBERT: Susan Heckbert, professor in
9 epidemiology, University of Washington, Seattle.

10 DR. YANOVSKI: Susan Yanovski. I co-direct
11 the Office of Obesity Research at the National
12 Institute of Diabetes and Digestive and Kidney
13 Diseases.

14 MR. LUMLEY: Dan Lumley, patient rep from
15 Kansas City.

16 DR. HIATT: William Hiatt, professor of
17 medicine, University of Colorado, Division of
18 Cardiology where I practice vascular medicine.

19 DR. PROSCHAN: I'm Michael Proschan. I'm a
20 mathematical statistician at the National Institute
21 of Allergy and Infectious Diseases.

22 DR. KEWALRAMANI: Reshma Kewalramani. I'm a

1 nephrologist and I head the U.S. medical
2 organization at Amgen. I'm the industry
3 representative.

4 DR. SMITH: Thank you. For topics such as
5 those being discussed at today's meeting there are
6 often a variety of opinions, some of which are
7 quite strongly held. Our goal is that today's
8 meeting will be a fair and open forum for
9 discussion of these issues, and that individuals
10 can express their views without interruption.
11 Thus, as a gentle reminder, individuals will be
12 allowed to speak into the record only if recognized
13 by the chairperson. We look forward to a
14 productive meeting.

15 In the spirit of the Federal Advisory
16 Committee Act and the Government in the Sunshine
17 Act, we ask that the advisory committee members
18 take care that their conversations about the topic
19 at hand take place in the open forum of the
20 meeting.

21 We are aware that members of the media are
22 anxious to speak with the FDA about these

1 proceedings, however, FDA will refrain from
2 discussing the details of this meeting with the
3 media until its conclusion. Also, the committee is
4 reminded to please refrain from discussing the
5 meeting topic during breaks or lunch. Thank you.

6 Now, I'll pass the microphone to Commander
7 LaToya Bonner, who will read the conflict of
8 interest statement.

9 **Conflict of Interest Statement**

10 DR. BONNER: The Food and Drug
11 Administration is convening today's meeting of the
12 Endocrinologic and Metabolic Drug Advisory
13 Committee under the authority of the Federal
14 Advisory Committee Act of 1972. With the exception
15 of the industry representative, all members and
16 temporary voting members of the committee are
17 special government employees or regular federal
18 employees from other agencies and are subject to
19 federal conflict of interest laws and regulations.

20 The following information on the status of
21 this committee's compliance with federal ethics and
22 conflict of interest laws, covered by but not

1 limited to those found at 18 U.S.C., Section 208,
2 is being provided to participants in today's
3 meeting and to the public.

4 FDA has determined that members and
5 temporary voting members of this committee are in
6 compliance with federal ethics and conflict of
7 interest laws. Under 18 U.S.C., Section 208,
8 Congress has authorized FDA to grant waivers to
9 special government employees and regular federal
10 employees who have potential financial conflicts
11 when it is determined that the agency's need for a
12 special government employee's services outweighs
13 his or her potential financial conflict of
14 interest, or when the interest of a regular federal
15 employee is not so substantial as to be deemed
16 likely to affect the integrity of the services
17 which the government may expect from the employee.

18 Related to the discussion of today's
19 meeting, members and temporary voting members of
20 the committee have been screened for potential
21 financial conflicts of interest of their own, as
22 well as those imputed to them, including those of

1 their spouses or minor children, and for purposes
2 of 18 U.S.C., Section 208, their employers. These
3 interests may include investments, consulting,
4 expert witness testimony, contracts, grants,
5 CRADAs, teaching, speaking, writing, patents and
6 royalties, and primary employment.

7 Today's agenda involves supplemental new
8 drug application 204629 for empagliflozin tablets,
9 and supplemental new drug application 206111 for
10 empagliflozin and metformin hydrochloride tablets.
11 Both sNDAs are sponsored by Boehringer Ingelheim
12 Pharmaceuticals, Incorporated, for the proposed
13 additional indication in adult patients with type 2
14 diabetes mellitus and high cardiovascular risk to
15 reduce the risk of all-cause mortality by reducing
16 the incidence of cardiovascular death and to reduce
17 the risk of cardiovascular death or hospitalization
18 for heart failure.

19 This is a particular matters meeting during
20 which specific matters related to Boehringer
21 Ingelheim's sNDAs will be discussed. Based on the
22 agenda for today's meeting and all financial

1 interests reported by the committee members and
2 temporary voting members, a conflict of interest
3 waiver has been issued in accordance with 18 U.S.C.
4 Section 208(b)(3) to Dr. Marvin Konstam.

5 Dr. Konstam's waiver addresses his
6 employer's contract with a potentially competing
7 firm regarding a product that potentially will
8 compete with the products under review by the
9 committee. The total funding is anticipated to be
10 between zero and \$50,000 per year. Dr. Konstam
11 will not have any role in the actual conduct of the
12 study.

13 The waiver allows this individual to
14 participate fully in today's deliberations. FDA's
15 reason for issuing the waiver are described in the
16 waiver documents, which are posted on the FDA
17 website at [www.fda.gov/AdvisoryCommittees/
18 CommitteesMeetingMaterials/Drugs/default.htm](http://www.fda.gov/AdvisoryCommittees/CommitteesMeetingMaterials/Drugs/default.htm).

19 Copies of the waiver may also be obtained by
20 submitting a written request to the agency's
21 Freedom of Information Division, on 5630 Fishers
22 Lane, Room 1035, Rockville, Maryland 20857, or

1 requests may be sent via fax to 301-827-9267.

2 To ensure transparency, we encourage all
3 standing committee members, and temporary voting
4 members, to disclose any public statements that
5 they have made concerning the product at issue.

6 With respect to FDA's invited industry
7 representative, we would like to disclose that Dr.
8 Reshma Kewalramani is participating in this meeting
9 as a non-voting industry representative acting on
10 behalf of regulated industry. Dr. Kewalramani's
11 role at this meeting is to represent industry in
12 general and not any particular meeting [sic]. Dr.
13 Kewalramani is employed by Amgen.

14 We would like to remind members and
15 temporary voting members that if the discussion
16 involve any other products or firms not already on
17 the agenda for which an FDA participant has a
18 personal or imputed financial interest, the
19 participants need to exclude themselves from such
20 involvement and their exclusion will be noted for
21 the record. FDA encourages all other participants
22 to advise the committee of any financial

1 relationships that they may have with the firm at
2 issue. Thank you.

3 DR. SMITH: Thank you. So, we'll now
4 proceed with the FDA's introductory remarks from
5 Dr. Jean-Marc Guettier.

6 **FDA Introductory Remarks**

7 DR. GUETTIER: Is it possible to project the
8 slides on the screen? Good morning. My name is
9 Jean-Marc Guettier, and I'm the director of the
10 Division of Metabolism and Endocrinology Products
11 at the Food and Drug Administration. I'd like to
12 welcome all of the meeting participants and the
13 panel members to today's advisory committee
14 meeting, which was convened to review the results
15 of the EMPA-REG OUTCOME study.

16 The EMPA-REG OUTCOME study was required by
17 the Food and Drug Administration to evaluate the
18 cardiovascular risk associated with the use of
19 empagliflozin for the treatment of adults with
20 type 2 diabetes. Recall that all anti-diabetic
21 therapies are indicated as an adjunct to diet and
22 exercise, and are used to improve glycemic control.

1 The regulatory context demonstrating an
2 improvement in glycemic control over the medium
3 term serves as a surrogate for microvascular
4 disease risk reduction and for full approval of
5 these agents. To date, no prospectively planned
6 study has convincingly demonstrated a link between
7 improvement in glycemic control and reduction in
8 cardiovascular risk.

9 The EMPA-REG OUTCOME study is the first
10 large, dedicated, randomized, prospective,
11 controlled outcomes trial to report on a
12 cardiovascular benefit of a specific anti-diabetic
13 therapy.

14 Several other anti-diabetic agents have been
15 evaluated in similarly designed studies, and until
16 the publication of the EMPA-REG OUTCOME study, no
17 anti-diabetic agent had been shown to reduce
18 cardiovascular risk.

19 The results of the EMPA-REG OUTCOME study
20 are thus a departure from previous scientific
21 findings, and represent a potentially important
22 advance in the treatment of adults with type 2

1 diabetes.

2 The committee was convened to discuss
3 whether the results of the study established that
4 empagliflozin is effective at reducing
5 cardiovascular risk in adult patients with type 2
6 diabetes, and in so doing advise the agency with
7 regards to adding a potentially new use to the
8 product label.

9 The indication submitted when the
10 supplemental application was filed in November is
11 the first indication shown on this slide. This was
12 the working indication FDA was asked to consider,
13 and the indication published in the Federal
14 Register notice for this meeting.

15 The indication reads, "Empagliflozin is
16 indicated in adult patients with type 2 diabetes
17 mellitus and high cardiovascular risk to reduce the
18 risk of all-cause mortality by reducing the
19 incidence of cardiovascular death, and to reduce
20 the risk of cardiovascular death or hospitalization
21 for heart failure."

22 In early May, the applicant submitted an

1 amendment to the application to have the proposed
2 indication changed to the following.

3 "In adult patients with type 2 diabetes
4 mellitus and established cardiovascular disease,
5 empagliflozin is indicated to reduce the incidence
6 of cardiovascular death." This new indication is
7 the current working version and reflects the new
8 use the applicant is seeking.

9 Since you will be asked to opine on whether
10 the study results establish the efficacy of
11 empagliflozin for a new use, let me briefly review
12 FDA requirements regarding the evidence needed to
13 form the basis for a new efficacy claim. The legal
14 standard of effectiveness to support a new use of
15 an approved product is described in the Federal
16 Food, Drug, and Cosmetic Act as substantial
17 evidence of effectiveness.

18 In the law, substantial evidence is defined
19 as evidence consisting of adequate and
20 well-controlled investigations, including clinical
21 investigations, that the drug has the effect it
22 purports or is represented to have under the

1 condition of use prescribed in the labeling or
2 proposed labeling thereof. The adequate and
3 well-controlled statement speaks to the quality of
4 the evidence required, and this will be reviewed in
5 a following slide.

6 With regards to the quantity of evidence
7 necessary, the law talks about investigations in
8 the plural form, and it has been FDA's position
9 that Congress generally intended to require at
10 least two adequate and well-controlled studies,
11 each convincing on its own, to establish
12 effectiveness and support approval of a new use.

13 The scientific basis for requiring two
14 studies is grounded in the fact that chance,
15 biases, biologic variability, generalizability
16 issues for other factors inherent to the single
17 positive trial, could lead to an erroneous
18 conclusion that the drug is effective when in fact
19 it is not.

20 Simply stated, a conclusion based on two
21 persuasive studies will always be more secure than
22 a conclusion based on a single comparatively

1 persuasive study. We will return to this concept
2 in a later slide, but let me first briefly cover
3 issues related to the quality of the evidence
4 required.

5 With regards to the quality of the evidence,
6 the applicant has to demonstrate that the studies
7 were adequately designed and conducted. General
8 attributes of an adequate and well-controlled trial
9 are described in Title 21 of the Code of Federal
10 Regulations and are summarized in this slide.

11 To demonstrate that the trial supporting an
12 effectiveness claim is adequate and
13 well-controlled, extensive documentation related to
14 trial planning, trial conduct and data handling is
15 needed. Records of this extensive documentation
16 are submitted to the agency for review, and
17 detailed patient records at the clinical sites
18 participating in the study are made available for
19 the purpose of auditing.

20 This morning Dr. Lungu will spend some time
21 reviewing the complex regulatory history for the
22 EMPA-REG study, which is in many ways unique, and

1 will summarize the major changes to the protocol,
2 clinical endpoint charter, and analysis plan made
3 during trial conduct. You will be asked to
4 consider these changes in your discussion this
5 afternoon when weighing the quality of the evidence
6 generated by the EMPA-REG study.

7 Let me return to the issues surrounding the
8 quantity of evidence necessary to support a new
9 claim. Although, as stated earlier, two adequate
10 and well-controlled studies are generally needed to
11 form the basis of an effectiveness claim, the FDA
12 has recognized that there are certain circumstances
13 when a single study could constitute substantial
14 evidence.

15 In 1997 the Federal Food, Drug, and Cosmetic
16 Act was amended to make it clear that the agency
17 may consider data from one adequate and
18 well-controlled clinical investigation and
19 confirmatory evidence to constitute substantial
20 evidence if FDA determines that such data and
21 confirmatory evidence are sufficient to establish
22 effectiveness.

1 Indeed, FDA has at times relied on a single
2 adequate and well-controlled efficacy study to
3 support approval of a new drug or a new use. This
4 has generally occurred only in cases in which a
5 single multi-center study of excellent design
6 provided highly reliable and statistically strong
7 evidence of an important clinical benefit.

8 Whether to rely on a single adequate and
9 well-controlled study to form the basis of a claim
10 is inevitably a matter of judgment. And as stated
11 previously, a conclusion based on two persuasive
12 studies will always be more secure than a
13 conclusion based on a single similarly persuasive
14 study.

15 The agency's views on when a single trial
16 could serve as the basis to conclude that
17 substantial evidence has been met is described in a
18 guidance document. In this document, the agency
19 identifies some characteristics of a single
20 adequate and well-controlled study that can
21 contribute to a conclusion that the single study
22 would be adequate to form the basis of a new

1 efficacy claim. These characteristics are shown on
2 this slide.

3 Although the list is not exhaustive and no
4 one single characteristic is necessarily
5 determinative, the presence of one or more of these
6 in a study may provide support to reach a
7 conclusion that the study is adequate.

8 In your deliberations this afternoon, we
9 will ask you to keep these characteristics in mind
10 as you are weighing whether the single EMPA-REG
11 study provides the necessary substantial evidence
12 of effectiveness to support a new claim.

13 Having briefly reviewed the expectations
14 regarding both the quality and the quantity of
15 evidence needed to form the basis of a new claim,
16 let me present the charge to the committee.

17 The committee was convened today to review
18 the evidence generated in the EMPA-REG study and
19 advise the agency on whether the study provides
20 substantial evidence of effectiveness required to
21 form the basis of a new claim for empagliflozin.
22 The focus of this afternoon's discussion will

1 tackle this objective, so let me turn to the
2 discussion points.

3 The first discussion point focuses on the
4 quality of the evidence. The trial has had a
5 complex regulatory history and several changes were
6 implemented during trial conduct. This will be
7 discussed in details this morning.

8 In addition, some sponsor unblinding
9 occurred to support an interim analysis required
10 for the purpose of regulatory filing, and this is
11 unique to this trial. In this question, we ask
12 whether specifics of the study conduct alter or do
13 not alter your level of confidence in the main
14 study findings.

15 The second discussion point focuses on the
16 persuasiveness of the results for the primary
17 analysis, and asks you to comment on several
18 issues, including the persuasiveness of the
19 statistical results, the findings across each
20 individual component to the primary composite
21 endpoint, and specifically on the topic of silent
22 myocardial infarctions.

1 These issues will be covered in our
2 presentation and we would like your opinion on how
3 these factors influence your confidence in the
4 results of the primary analysis.

5 The third discussion point focuses
6 specifically on the persuasiveness of the mortality
7 findings. While the discussion of mortality is
8 certainly not limited to the issues listed here, we
9 will be covering these issues in our presentation,
10 and would like you to address these specifically in
11 your discussion.

12 Discussion point number 4 relates to the
13 heart failures finding in the EMPA-REG OUTCOME
14 study. And discussion point number 5 relates to
15 the renal endpoint findings in the EMPA-REG OUTCOME
16 study. Specifically, it asks whether the changes in
17 the endpoint establish a benefit of the drug on
18 kidney disease related to diabetes.

19 The first voting question asks whether the
20 EMPA-REG OUTCOME study results have fulfilled the
21 recommendations laid out in the 2008 guidance for
22 industry. Almost as important as your vote is the

1 rationale for your vote. We would like you to
2 explain why you voted the way you did on this
3 question.

4 The second and final voting question asks
5 whether the results of the EMPA-REG OUTCOME study
6 provides the substantial evidence of effectiveness
7 necessary to establish that empagliflozin reduces
8 cardiovascular mortality in the population studied.
9 Again, we would like you to explain the rationale
10 for your vote.

11 This concludes my introduction. Thank you
12 and I look forward to a productive meeting.

13 DR. SMITH: Thank you. Both the Food and
14 Drug Administration, the FDA, and the public
15 believe in a transparent process for information
16 gathering and decision-making. To ensure such
17 transparency at the advisory committee meeting, FDA
18 believes that it is important to understand the
19 context of an individual's presentation.

20 For this reason, FDA encourages all
21 participants, including the applicant's
22 non-employee presenters, to advise the Committee of

1 any financial relationships that they may have with
2 the applicant, such as consulting fees, travel
3 expenses, honoraria, and interests in a sponsor,
4 including equity interests and those based upon the
5 outcome of the meeting.

6 Likewise, FDA encourages you at the
7 beginning of your presentation to advise the
8 committee if you do not have any such financial
9 relationships. If you choose not to address this
10 issue of financial relationships at the beginning
11 of your presentation, it will not preclude you from
12 speaking.

13 We will now proceed with Boehringer
14 Ingelheim Pharmaceuticals' presentations.

15 **Applicant Presentation - Hans-Juergen Woerle**

16 DR. WOERLE: Good morning. My name is
17 Hans-Juergen Woerle. I'm an endocrinologist and
18 vice president of therapeutic area metabolism at
19 Boehringer Ingelheim. The EMPA-REG OUTCOME trial
20 was conducted as a postmarketing commitment to the
21 FDA following the approval of empagliflozin for
22 glucose lowering.

1 As a sponsor, we would like to thank the FDA
2 for this opportunity to present the data from this
3 trial. Our presentation will follow this outline;
4 study design, effects on MACE, effects on
5 cardiovascular death, effects on heart failure
6 outcome, and all-cause mortality, safety profile,
7 data integrity, and finally the clinical relevance
8 of the trial results.

9 Professor Zinman, the chair of the EMPA-REG
10 OUTCOME trial steering committee, will now discuss
11 the context and background.

12 **Applicant Presentation - Bernard Zinman**

13 DR. ZINMAN: Thank you, Dr. Woerle. My
14 duality of interests have been submitted. And just
15 to repeat, I do receive consulting and grant
16 support from Boehringer Ingelheim.

17 Good morning. As shown on this slide, the
18 prevalence of diabetes has been steadily increasing
19 in the United States. Of note, in the age groups
20 studied in the EMPA-REG OUTCOME trial, the
21 prevalence of diabetes has actually doubled. This
22 comes at a considerable human and healthcare cost.

1 Compared with those without diabetes, patients with
2 diabetes have a 70 percent higher risk of
3 cardiovascular mortality.

4 Having a diagnosis of diabetes decreases
5 life expectancy by six years, driven largely by
6 premature cardiovascular death. A prior history of
7 myocardial infarction or stroke doubles this loss
8 of life to 12 years. Thus, developing strategies
9 to modify this unacceptable outcome remains an
10 important goal in the management of diabetes.

11 A frequently less-recognized cardiac
12 comorbidity of type 2 diabetes is heart failure.
13 Heart failure is highly prevalent in patients with
14 diabetes, occurring in more than one in five
15 patients above the age of 65.

16 Patients with diabetes and heart failure
17 have a very poor prognosis, with a median survival
18 of less than five years. It is noteworthy that no
19 glucose-lowering medication has been shown to
20 improve heart failure outcomes, while some, namely
21 thiazolidinediones, are associated with an
22 increased heart failure risk.

1 It is now well accepted, as you heard, that
2 hemoglobin A1c lowering reduces microvascular
3 complications. A 1 percent decrease in hemoglobin
4 A1c is associated with a 37 percent reduction in
5 microvascular complications. However, there is
6 limited evidence that reduction in hemoglobin A1c
7 results in cardiovascular outcome benefits.

8 Previous randomized trials comparing more
9 intensive with less intensive glucose lowering
10 showed no general reduction in cardiovascular
11 events or mortality in type 2 diabetes. In fact,
12 one of the trials, the ACCORD trial, showed an
13 increase in mortality with intensive glycemic
14 therapy.

15 Data from these four trials have been pooled
16 together in a meta-analysis. This forest plot
17 demonstrates the absence of a general benefit of
18 glycemic control on cardiovascular outcomes.
19 Stroke, shown in the first row, was not impacted.

20 There was a modest reduction in non-fatal
21 myocardial infarction. However, the hazard ratio
22 for heart failure outcomes was one, and no

1 reduction was observed in all-cause mortality or
2 cardiovascular death.

3 The uncertainty around the cardiovascular
4 risks and benefits of glucose-lowering therapies
5 led to the development of the 2008 cardiovascular
6 guidance. This FDA guidance set the scene for
7 recent cardiovascular outcome trials, and the
8 strategy of investigating drug-specific effects
9 through placebo-controlled trials.

10 In these trials, study drug is added to
11 standard of care, aiming for glycemie equipoise in
12 patients at high cardiovascular risk, with at least
13 two years of follow-up and with prospective
14 independent and blinded cardiovascular
15 adjudication.

16 Non-inferiority can be concluded if the
17 upper bound of the confidence interval is less than
18 1.3. Superiority can be concluded if the upper
19 bound is less than 1.0. In the rest of the
20 presentation today, you will see results from our
21 trial that follows this guidance.

22 Empagliflozin has been hypothesized to

1 reduce cardiovascular risk through multiple
2 mechanisms. The primary target of empagliflozin is
3 the kidney where it increases sodium and glucose
4 excretion resulting in an osmotic diuresis. This
5 leads to a reduction in hemoglobin A1c and weight.
6 The hemodynamic effects of decreasing intravascular
7 volume results in decreased systolic blood
8 pressure, and an increase in hematocrit.

9 Importantly, these hemodynamic effects are
10 not associated with a corresponding increase in
11 sympathetic tone. In turn, these effects could
12 potentially decrease cardiac wall stress, minimize
13 the risk of new or worsening heart failure, and
14 reduce the risk of cardiovascular death.

15 In this context, results from the EMPA-REG
16 OUTCOME trial represents a new paradigm in the
17 treatment of diabetes. For the first time,
18 physicians and patients have a drug that not only
19 controls metabolic parameters, but also decreases
20 the risk of cardiovascular mortality.

21 Dr. Woerle will now take you through the
22 design of our trial.

1 **Applicant Presentation - Hans-Juergen Woerle**

2 DR. WOERLE: Empagliflozin is a highly
3 selective inhibitor of sodium glucose
4 co-transporter 2 in the kidney. In the United
5 States, it's indicated as an adjunct to diet and
6 exercise to improve glycemic control in adults with
7 type 2 diabetes mellitus. Two doses are approved,
8 10 and 25 milligram per day.

9 In our clinical development program for
10 empagliflozin we studied more than 13,000 patients
11 with type 2 diabetes. In these trials, we saw
12 reductions in HbA1c, weight and blood pressure.
13 The EMPA-REG OUTCOME trial was designed to meet the
14 postmarketing requirement to conduct a randomized,
15 double-blind, placebo-controlled trial, evaluating
16 the effect on major cardiovascular events. Key
17 results of this trial are summarized in the next
18 slide.

19 Empagliflozin demonstrated cardiovascular
20 safety with the upper bound of the confidence
21 interval being below 1.3, thus fulfilling FDA
22 postmarketing requirements. We had a statistically

1 significant reduction in the primary endpoint.
2 MACE, the composite of cardiovascular death, non-
3 fatal MI, and non-fatal stroke was reduced by 14
4 percent. There was a 38 percent risk reduction in
5 cardiovascular death. This cardiovascular
6 mortality benefit drove the reduction in MACE with
7 no significant changes in the risk of myocardial
8 infarction or stroke.

9 The reduction in cardiovascular death was
10 meaningful enough to be translated into a
11 reduction, even in all-cause mortality, by 32
12 percent. Heart failure hospitalization was reduced
13 by 35 percent. And the composite of heart failure
14 hospitalization or CV death was reduced by 34
15 percent.

16 In addition, the established safety profile
17 of empagliflozin was confirmed. Our fulfillment of
18 the prespecified statistical plan of the EMPA-REG
19 OUTCOME trial not only allowed us to demonstrate
20 cardiovascular safety, but also allowed us to
21 propose the following indication for empagliflozin.
22 In adult patients with type 2 diabetes mellitus and

1 established cardiovascular disease, empagliflozin
2 is indicated to reduce the incidence of
3 cardiovascular death since this was the component
4 that drove the success of the primary endpoint.

5 Let us now take a look at the design of the
6 EMPA-REG OUTCOME trial, followed by results in more
7 detail. The EMPA-REG OUTCOME trial examined the
8 long-term effects of empagliflozin on
9 cardiovascular morbidity and mortality, as well as
10 general safety in patients with type 2 diabetes and
11 established cardiovascular disease. The study was
12 run by a steering committee, including
13 representatives from academia and the sponsor.

14 Our blinded adjudication panel and the data
15 monitoring committee consisted of independent
16 medical and statistical experts. In addition, CV
17 outcome analysis were independently validated by
18 statisticians at the University of Freiburg.
19 Membership of the independent study panels are
20 included on page 49 of our briefing book.

21 EMPA-REG OUTCOME is a randomized,
22 double-blind, placebo-controlled trial.

1 Empagliflozin, 10 and 25 milligram, once daily were
2 tested. There were three arms, and patients were
3 randomized on a 1 to 1 to 1 basis. This was an
4 event-driven trial targeting at least 691 events,
5 patients with an adjudicated primary outcome event.
6 Study treatment was added to local standards of
7 care for patients with type 2 diabetes and
8 established cardiovascular disease.

9 Prespecified and adjudicated endpoints in
10 this trial included the following: cardiovascular
11 death, myocardial infarction, stroke,
12 hospitalization for unstable angina, coronary
13 revascularization, transient ischemic attacks,
14 hospitalization for heart failure, hospitalization
15 for heart failure or CV death, and all-cause
16 mortality.

17 Of these, 3-point MACE, which is the
18 composite of CV death, nonfatal MI and nonfatal
19 stroke was our primary outcome. 4-point MACE,
20 which includes hospitalization for unstable angina
21 in addition to the components of 3-point MACE, was
22 a key secondary outcome. The prespecified and FDA

1 approved statistical analysis plan was to compare
2 pooled empagliflozin groups with placebo. Type 1
3 error was controlled by hierarchical testing.

4 First, we tested for non-inferiority for
5 3-point MACE. If statistical significance was
6 achieved, non-inferiority for 4-point MACE was
7 tested. If non-inferiority was established,
8 superiority for 3-point MACE was tested, and if
9 successful, superiority for 4-point MACE was
10 tested. These confirmatory endpoints were tested
11 on an alpha level of 0.0249, allocating a
12 0.001 alpha for the interim data extraction.

13 This interim analysis was done to support
14 the original new drug application of empagliflozin
15 to the FDA in 2013. The 1-sided alpha level of
16 0.0249 equates to a 2-sided alpha of 0.0498.
17 Throughout the presentation, we will be reporting
18 2-sided alpha, consistent with our publications.

19 Other endpoints were tested at a nominal
20 alpha level of 0.05. Non-inferiority was to be
21 concluded if the 2-sided upper bound of
22 95.02 percent confidence interval was lower than

1 1.3.

2 Superiority was to be concluded if the upper
3 bound of the confidence interval was lower than
4 1.0. Analysis of cardiovascular outcome were based
5 on Cox proportional hazard models. This
6 prespecified model includes treatment, age, gender,
7 baseline categories of BMI, HbA1c, eGFR, and
8 geographical region.

9 Patients who did not have an event were
10 censored on the last day they were known to be free
11 outcome. For cumulative incidence functions for
12 all cardiovascular outcome events, except for
13 all-cause mortality, we censored patients at risk
14 by correcting for the competing risk of mortality.

15 The primary analysis was conducted in
16 patients treated with at least one dose of study
17 drug. We referred to this population as
18 intent-to-treat population hereafter.

19 Additionally, on-treatment and per protocol
20 analysis were conducted. The three treatment
21 groups are shown in columns.

22 Discontinuation of study medication was 29

1 percent in the placebo group. These rates were
2 lower in both empagliflozin groups, 24 and
3 23 percent. Ninety-seven percent of patients
4 completed the study. These are the patients who
5 had an event contributing to the primary outcome,
6 or were known to be event free at the end of the
7 trial. Final vital status was available for more
8 than 99 percent of patients. The median
9 observation time was 3.1 years.

10 EMPA-REG OUTCOME trial was a global effort,
11 conducted at 590 sites, from 42 countries.
12 Countries were grouped into region as shown on this
13 map. The next few slides show baseline
14 characteristics of these patients.

15 Study groups were balanced. Mean age was
16 63. Approximately one-third were women. Patients
17 from the United States, Canada, Australia, and New
18 Zealand were pooled together as a prespecified
19 region. Twenty percent of the total population was
20 from this pooled region, and 90 percent of these
21 were recruited from the United States.

22 Approximately 72 percent of patients were

1 white; 350 patients, 5 percent of the overall
2 population, identified themselves as black or
3 African-American. Around a fifth were Asian;
4 18 percent identified themselves as Hispanic or
5 Latino.

6 Ninety-nine percent of the population had
7 established cardiovascular disease. Approximately
8 three-quarters had coronary artery disease and
9 almost half had a history of myocardial infarction.
10 About 23 percent had a history of stroke;
11 10 percent had investigator-reported history of
12 heart failure at baseline.

13 Baseline HbA1c was around 8 percent. The
14 mean body mass index was just over 30. Patients
15 were well-controlled for blood pressure and
16 cholesterol. Patients with an eGFR less than 30 at
17 screening were excluded per protocol.

18 Consistent with clinical practice, metformin
19 was the most commonly used oral glucose-lowering
20 therapy. Approximately half the patients were
21 treated with insulin at baseline. Ninety-five
22 percent of the population was on anti-hypertensive

1 therapy with ACE, ARBs the most common, used in
2 about 80 percent. Beta blockers and diuretics were
3 used by approximately 65 and 43 percent of the
4 patient population.

5 Within the class of diuretics, the use of
6 loop diuretics was also balanced. Around 80
7 percent of patients were on lipid-lowering drugs at
8 baseline. And around 90 percent of patients were
9 treated with anti-coagulants or anti-platelet
10 therapies. In this population, with established
11 cardiovascular disease, therapies to address
12 CV risk were widely used.

13 We also largely succeeded in keeping
14 patients in the trial and on study medication.
15 Discontinuation rates for empagliflozin was lower
16 than that of placebo. We ensured optimal
17 ascertainment of outcomes by following up patients
18 irrespective of drug discontinuation. This is
19 evidenced by the greater than 99 percent obtainment
20 of vital status. Furthermore, we implemented a
21 process to ensure 100 percent source document
22 verification.

1 Let us now look at changes in cardio
2 metabolic parameters over the course of the study.
3 In these analyses, all patients, including those
4 who discontinued study drug or initiated new
5 therapies, were included. This followed the
6 intent-to-treat principle.

7 During the first 12 weeks, glucose-lowering
8 therapy was meant to be kept stable. Thereafter,
9 investigators were encouraged to treat patients
10 according to local guidelines for HbA1c targets,
11 adding additional glucose-lowering therapy if
12 necessary.

13 HbA1c reduction during the first 12 weeks
14 was consistent with the reduction previously
15 observed in the empagliflozin clinical development
16 program. While glycemic equipoise was the
17 objective in the trial, a modest difference between
18 active drug and placebo persisted throughout, as we
19 have seen with other cardiovascular outcome trials
20 in type 2 diabetes.

21 Some weight loss was observed early, and
22 these findings were largely persistent throughout

1 the trial. Both systolic and diastolic blood
2 pressure showed reductions with empagliflozin.
3 Heart rate remained largely unchanged. Small
4 increases were observed in LDL cholesterol with
5 empagliflozin. HDL cholesterol also showed small
6 increases.

7 Dr. Uli Broedl, head of clinical development
8 metabolism at BI, will now take you through the
9 cardiovascular outcome data.

10 **Applicant Presentation - Uli Broedl**

11 DR. BROEDL: Good morning. My presentation
12 will cover outcome related to MACE, CV death, heart
13 failure, and all-cause mortality. The following
14 figure shows the cumulative incidence of 3-point
15 MACE. The red line represents patients on
16 empagliflozin and the grey line placebo.

17 The vertical axis displays the percentage of
18 patients with events. The horizontal axis shows
19 the number of patients at risk at a given point in
20 time. Incidence rates per 100 patient years are in
21 parentheses. Next to these we have provided the
22 number of events accrued, as well as frequencies of

1 events. We will use this scheme throughout our
2 presentation.

3 This slide also shows the hazard ratio with
4 95.02 percent confidence interval and p-value.
5 Empagliflozin reduced the primary endpoint by
6 14 percent. The hazard ratio was 0.86. The upper
7 bound of the confidence interval was 0.99 with a
8 p-value of 0.0382. This figure displays patients
9 on the two doses of empagliflozin separately,
10 10 milligrams in purple and 25 milligrams in blue.
11 Hazard ratios were virtually identical, 0.85 and
12 0.86.

13 We also looked at additional predefined
14 analyses populations. In the intent-to-treat
15 population, the hazard ratio is 0.86. In the
16 on-treatment population, defined as patients with
17 events occurring up to 30 days after last intake of
18 trial medication, the hazard ratio is 0.87. And in
19 the per-protocol population, the hazard ratio is
20 0.86.

21 We have drawn a vertical dashed red line to
22 indicate the hazard ratio in the intent-to-treat

1 population. The hazard ratios were virtually
2 identical in the three analyses populations. We
3 will follow this scheme throughout today's
4 presentation.

5 We analyzed prespecified subgroups according
6 to baseline characteristics, including age, sex,
7 race, ethnicity, HbA1c, BMI, and eGFR. This forest
8 plot shows the overall hazard ratio with 95 percent
9 confidence intervals for the intent-to-treat
10 population in the first row. The subgroups are
11 listed in rows below.

12 The magnitude of the treatment effect was
13 generally consistent across predefined subgroups,
14 although, for this composite endpoint, some
15 heterogeneity was observed. But please note that
16 when subgroups have a small number of events, they
17 are subject to play of chance.

18 We also tested a number of subgroups based
19 on concomitant therapies at baseline. We observed
20 no meaningful heterogeneity in subgroups defined by
21 the use of glucose-lowering therapies, such as
22 metformin and insulin, lipid lowering agents such

1 as statins or ezetimibe, and anti-hypertensive
2 therapies, including RAS blockers, beta blockers,
3 and diuretics.

4 Let us now look at the individual components
5 of 3-point MACE; cardiovascular death, non-fatal
6 myocardial infarction, and non-fatal stroke. The
7 effect on the primary endpoint was driven by a
8 38 percent reduction in the risk of cardiovascular
9 death, with little effect on the atherosclerotic
10 endpoints of non-myocardial infarction and
11 non-fatal stroke.

12 Looking beyond 3-point MACE to 4-point MACE,
13 which includes hospitalization for unstable angina,
14 non-inferiority was also achieved. Similarly, the
15 hazard ratio for coronary re-vascularization was
16 0.86, with the upper bound of the confidence
17 interval crossing unity. Of note, the point
18 estimate for non-fatal stroke was numerically
19 larger than 1.

20 So we will now present more detailed
21 analyses. The next slide shows the cumulative
22 incidence of all stroke, including fatal and

1 non-fatal stroke in the intent-to-treat population.
2 The analysis includes all events irrespective of
3 whether patients were on-treatment or not.

4 Here, the hazard ratio was 1.18 with
5 confidence intervals crossing unity. The curves
6 overlap during periods of the trial where we
7 followed most of the patients and observed most of
8 the events.

9 We repeated this analysis for all strokes
10 that occurred up to 90 days after drug
11 discontinuation. In this analysis, the hazard
12 ratio comes closer to unity. We further analyzed
13 recurrent stroke, fatal stroke, or transient
14 ischemic attack. Recurrent strokes, although
15 infrequent, were balanced between placebo and the
16 two empagliflozin groups. While the hazard ratio
17 for non-fatal stroke was numerically greater than
18 1, the hazard ratio for fatal stroke was 0.72.

19 Transient ischemic attacks share the same
20 pathophysiology as stroke. While the hazard ratio
21 for stroke was numerically greater than 1, we see
22 the opposite picture for TIA. The hazard ratio for

1 this adjudicated outcome is 0.85. And if we look
2 at all stroke-like events, including fatal stroke,
3 non-fatal stroke, and TIA, the hazard ratio is
4 1.05.

5 We also looked at changes in hematocrit or
6 blood pressure and stroke. No associations were
7 observed between stroke and changes in hematocrit
8 or blood pressure. No associations were observed
9 between the occurrence of volume depletion and
10 stroke either. Data supporting these conclusions
11 are provided in our briefing book.

12 So far, we have looked at components of the
13 primary endpoint, which were not significant. Now
14 we will look more closely at cardiovascular death,
15 which was the principle driver of the primary
16 outcome.

17 Overall, 309 cases of cardiovascular death
18 occurred. Empagliflozin showed a 38 percent
19 reduction in the risk of cardiovascular death. The
20 hazard ratio was 0.62. The 95 percent confidence
21 interval was 0.49 to 0.77, with a highly
22 significant nominal p-value. These effects are

1 evident early in the trial and were sustained until
2 the end. Both doses of empagliflozin yielded
3 comparable results for cardiovascular death.
4 Hazard ratios were 0.65 for the 10-milligram dose,
5 and 0.59 for the 25-milligram dose.

6 We also compared intent-to-treat and
7 on-treatment populations, as we did for stroke.
8 The two hazard ratios in the intent-to-treat and
9 on-treatment populations were comparable at 0.62
10 and 0.59.

11 This figure shows subgroups that we have
12 previously looked at. There is no meaningful
13 heterogeneity across these subgroups. And the
14 picture is similar when we look at concomitant
15 therapies used at baseline. We observed no
16 meaningful heterogeneity in the effect of
17 empagliflozin on cardiovascular death.

18 What are the modes of cardiovascular death
19 that contributed? All deaths were adjudicated by a
20 central, blinded, independent adjudication
21 committee based on prespecified criteria that are
22 consistent with guidelines. The committee

1 categorized deaths into cardiovascular death and
2 non-cardiovascular death.

3 Cardiovascular deaths were further
4 classified into fatal MI, fatal stroke, heart
5 failure death, and sudden death. Cardiovascular
6 deaths with full documentation, but due to causes
7 other than those four categories, were classified
8 under other CV cause. These other causes included
9 dysrhythmia, pulmonary embolism, or coronary
10 intervention.

11 In addition, there were deaths where the
12 amount of information available was not sufficient
13 for the adjudication committee to definitely assign
14 into one of these categories. As prespecified, in
15 this population with established cardiovascular
16 disease, all deaths not attributed to
17 cardiovascular death or non-cardiovascular death
18 were presumed cardiovascular death.

19 The next figure shows a hazard ratio and
20 95 percent confidence interval for the various
21 types of cardiovascular death. Empagliflozin
22 reduced the risk of cardiovascular death by

1 38 percent. The hazard ratio is 0.62. Essentially
2 all categories of CV death, fatal MI, fatal stroke,
3 heart failure death, sudden death, and presumed
4 cardiovascular death showed a favorable point
5 estimate.

6 The most frequent causes of cardiovascular
7 death were heart failure death, sudden death, and
8 presumed cardiovascular death. These modes of
9 death are commonly observed in patients with heart
10 failure.

11 To better understand the category of
12 presumed cardiovascular death, we looked at the
13 investigator-reported adverse events leading to
14 death in these patients. As one would expect in
15 this population with established cardiovascular
16 disease, these investigator reports were largely
17 consistent with cardiovascular death. Importantly,
18 even if one excluded presumed cardiovascular death
19 in a sensitivity analysis, reduction in
20 cardiovascular death remained highly significant.

21 Empagliflozin reduced cardiovascular death,
22 excluding cases of presumed cardiovascular death,

1 by 41 percent. The hazard ratio was 0.59 with an
2 upper bound of the 95 percent confidence interval
3 of 0.79 and a highly significant nominal p-value.

4 To conclude this section on MACE and
5 cardiovascular death, a 38 percent risk reduction
6 in cardiovascular death drove the reduction in MACE
7 with no significant change in the risk of
8 myocardial infarction or stroke. There was some
9 heterogeneity for subgroups of 3-point MACE. The
10 findings of cardiovascular death however, were
11 consistent across the two doses, analyses
12 populations, and subgroups.

13 Modes of cardiovascular death associated
14 with heart failure were most frequent. Therefore,
15 let us now look at heart failure outcomes in our
16 trial. Heart failure hospitalization was a
17 prespecified and adjudicated endpoint in this
18 trial. A composite endpoint of heart failure
19 hospitalization or cardiovascular death was also
20 prespecified. This is an endpoint that is commonly
21 used in heart failure trials.

22 Empagliflozin reduced the risk of

1 hospitalization for heart failure by 35 percent.
2 The hazard ratio is 0.65. The 95 percent
3 confidence interval was 0.5 to 0.85, with a highly
4 significant nominal p-value. The effect was
5 evident early and persisted throughout the trial.

6 This figure shows heart failure
7 hospitalization within the two doses of
8 empagliflozin. Hazard ratios for the 10- and 25-
9 milligram doses are 0.62 and 0.68 respectively.
10 Results for both doses were comparable. We also
11 compared the consistency across adjudicated
12 hospitalization for heart failure, investigator-
13 reported heart failure, and initiation of loop
14 diuretics, a commonly used surrogate for heart
15 failure.

16 For adjudicated heart failure
17 hospitalization, the hazard ratio is 0.65. For
18 investigator-reported heart failure, 0.70. And for
19 the initiation of loop diuretics, it is 0.62. This
20 consistency provides internal validity for the
21 hospitalization for heart-failure endpoint.

22 Empagliflozin also reduced the composite of

1 hospitalization for heart failure or cardiovascular
2 death. Virtually identical results were seen for
3 this composite endpoint. The hazard ratio was
4 0.66. The 95 percent confidence interval was 0.55
5 to 0.79, with a highly significant nominal p-value.
6 Ten percent of the EMPA-REG OUTCOME population had
7 investigator-reported heart failure at baseline.
8 For patients with or without history of heart
9 failure, hazard ratios are comparable.

10 A similar result is seen for cardiovascular
11 death, suggesting that empagliflozin may reduce
12 heart failure hospitalization and CV death in
13 patients with and without preexisting heart
14 failure. Empagliflozin reduced cardiovascular
15 death largely by reducing the risk of deaths that
16 are common in patients with heart failure.

17 The drug also prevented heart failure
18 hospitalization in patients with established
19 atherosclerotic cardiovascular disease. The
20 composite endpoint of heart failure hospitalization
21 and CV death was reduced by 34 percent. Results
22 were consistent for both doses and across

1 subgroups.

2 When a drug reduces cardiovascular death, it
3 is important that this benefit is not
4 counterbalanced by an increase in
5 non-cardiovascular death. In EMPA-REG OUTCOME
6 trial, all-cause mortality was therefore included
7 as a predefined endpoint. In this trial, vital
8 status was available for more than 99 percent of
9 patients, making the ascertainment of this
10 important endpoint methodologically robust.

11 As shown in this Kaplan-Meier plot,
12 empagliflozin reduced all-cause mortality by
13 32 percent. The hazard ratio was 0.68. The
14 confidence interval was 0.57 to 0.82, with a highly
15 significant nominal p-value.

16 Virtually identical results were observed
17 for empagliflozin 10 and 25 milligrams. Hazard
18 ratios were 0.70 and 0.67 respectively. We saw
19 similar results when we looked at intent-to-treat
20 and on-treatment populations. The hazard ratios
21 are 0.68 and 0.69 respectively.

22 We also analyzed the contribution of

1 cardiovascular death and non-cardiovascular death
2 to the reduction in all-cause mortality. For
3 cardiovascular death, the hazard ratio is 0.62.
4 For non-cardiovascular death, the hazard ratio was
5 0.84.

6 The reduction in cardiovascular death with
7 empagliflozin was enough to be translated into a
8 reduction in all-cause mortality by 32 percent.
9 Results were based on a large number of events, 463
10 cases of all-cause mortality, consistent across
11 doses, across analysis populations, and across
12 subgroups.

13 We have seen the benefits of empagliflozin
14 on cardiovascular outcomes. Now I will hand over
15 to Dr. Woerle to discuss safety results.

16 **Applicant Presentation - Hans-Juergen Woerle**

17 DR. WOERLE: The next few slides will depict
18 the number of adverse events and their frequency.
19 We will show data for placebo, empagliflozin, 10-
20 and 25-milligram groups. Both doses of
21 empagliflozin were well tolerated. The safety
22 profile was consistent with previous trials with

1 empagliflozin.

2 Adverse events were comparable across three
3 groups. Occurrence of any adverse events leading
4 to discontinuation and serious adverse events were
5 all balanced with numerically fewer patients having
6 these adverse events on empagliflozin.

7 Drug-related adverse events occurred more
8 frequently on empagliflozin.

9 In addition to general safety, we examined
10 safety topics of particular relevance to
11 empagliflozin or the class of SGLT-2 inhibitors.
12 As previously observed in our registration trials,
13 genital infections were increased in patients
14 treated with empagliflozin. This was the case both
15 in men and women.

16 Volume depletion and venothrombotic events
17 were balanced. Urinary tract infections were
18 balanced between placebo and empagliflozin for male
19 and female patients. Urosepsis was infrequent, but
20 numerically higher in the empagliflozin groups. On
21 the other hand, pyelonephritis was comparable with
22 numerically fewer events in patients treated with

1 empagliflozin.

2 Diabetic ketoacidosis is included as a
3 labeled adverse event for all SGLT-2 inhibitors.
4 In the EMPA-REG OUTCOME trial, diabetic
5 ketoacidosis cases were infrequent, 1, 3, and 1
6 case in placebo, empagliflozin 10-, and 25-
7 milligram. There was no imbalance in bone
8 fracture. Cases of cancer were balanced. Hepatic
9 injuries was also balanced with numerically fewer
10 events on empagliflozin.

11 Similarly, the risk of decreased renal
12 function was not increased. Further reassurance
13 can be drawn from analysis of the time to first
14 occurrence of decreased renal function. In this
15 analysis, the risk of decreased renal function is
16 significantly lower on empagliflozin in comparison
17 to placebo.

18 Furthermore, we looked at eGFR over time.
19 Patients on empagliflozin showed an initial dip in
20 eGFR, consistent with our registration trials, that
21 lasted up to 52 weeks. These previous observations
22 led to a concern that empagliflozin may cause a

1 decline in eGFR, which is reflected in our current
2 label. However, this picture appears to be
3 different over the entire course of the study.

4 eGFR in the placebo group showed the
5 expected decline in renal function of approximately
6 2 milliliters per year. In contrast, eGFR in
7 patients on empagliflozin remained largely
8 unchanged. These data suggest that the initial
9 worsening of renal function is transient, and over
10 time empagliflozin appears to slow the
11 deterioration of renal function. These data are
12 reminiscent of the effects we see with the
13 initiation of ACE inhibitors.

14 The figure shows baseline characteristics at
15 last eGFR value on-treatment. Patients were
16 enrolled thereafter for a median of 34 days. In
17 the placebo group, the eGFR remained largely
18 unchanged during this follow-up period. However,
19 in both the empagliflozin groups, eGFR almost
20 returned to baseline.

21 In addition, our trial included the
22 prespecified renal endpoint, new or worsening of

1 nephropathy. The hazard ratio for this
2 prespecified composite outcome was 0.61.
3 Confidence interval was 0.53 to 0.70, with a
4 p-value of less than 0.0001. New onset
5 macroalbuminuria, doubling of serum creatinine, and
6 initiation of renal replacement, all clinically
7 relevant components, contributed to the results
8 with hazard ratios of 0.62, 0.56, and 0.45
9 respectively; 3 renal deaths occurred on
10 empagliflozin, 10-milligram, none on placebo or
11 empagliflozin, 25-milligram.

12 In this analysis, only the time to first
13 event was considered. Nevertheless, we assessed
14 the same endpoints for sustainable changes, and the
15 findings are largely consistent.

16 To conclude this section on safety, no new
17 safety signals were identified in the EMPA-REG
18 OUTCOME trial. The rates of volume depletion, bone
19 fractures, venothrombotic events, hepatic events,
20 hypoglycemia, cancer, and DKA were comparable
21 between empagliflozin and placebo. General
22 infections were increased with empagliflozin, as

1 known from our previous trials.

2 The data that we have just seen suggests
3 that empagliflozin has no adverse impact on renal
4 outcome. Empagliflozin appears to slow the
5 progressive decline in renal function in peril to
6 its favorable effects on cardiovascular death and
7 heart failure hospitalization.

8 Now, interpretation of the safety and
9 efficacy need to be made in the context of quality
10 and data integrity. It is important to note that
11 the EMPA-REG OUTCOME trial was designed and
12 executed according to the contemporary clinical
13 trial standards and are now analyzed according to
14 prespecified statistical analysis plan.

15 Our plans for a CV outcome trial for
16 empagliflozin were driven by the 2008 FDA
17 cardiovascular guidance. The initial objective of
18 this trial was to demonstrate cardiovascular safety
19 to fulfill the guidance by meeting non-inferiority
20 margin at the time of the NDA submission in 2013.
21 In 2011, based on emergent science and regulatory
22 feedback, we decided to expand the trial. This

1 expansion to 7,000 patients aimed to accrue at
2 least 691 events, providing adequate power to test
3 not only for non-inferiority but also for
4 superiority.

5 In this trial, we prespecified an interim
6 data extraction to support empagliflozin's original
7 new drug application. It is important to note that
8 we performed the interim analysis after major
9 amendments to the protocol were made. The only
10 amendment that followed the interim focused
11 primarily on adjudication of hepatic and cancer
12 events.

13 An independent firewalled team performed the
14 interim analysis and regulatory submission, and all
15 trial personnel, investigators, and our
16 participants, were kept blinded throughout the
17 trial. Neither the sponsor nor any regulatory
18 authority published EMPA-REG OUTCOME interim
19 results. No major protocol changes were
20 implemented after the interim analysis.

21 Finally, we performed sensitivity analysis
22 to assess any potential impact of this interim

1 analysis. When you look at trial population
2 recruited before and after the interim analysis,
3 results are comparable and consistent with the
4 overall trial population. The hazard ratios for
5 cardiovascular death were also virtually identical.
6 Similarly, there were no differences in all-cause
7 mortality finding between the populations of
8 patients recruited before and after the interim
9 analysis.

10 Central adjudication of endpoints is another
11 key principle of trial quality. Adjudication
12 criteria for endpoints were predefined indicia and
13 are shared across our CV outcome trials in
14 diabetes. Endpoint definitions were reviewed
15 regularly by adjudicators for changes in clinical
16 practice and guidelines.

17 Whenever changes were made to endpoint
18 definitions, we re-adjudicated all events to ensure
19 consistency. Importantly, the definition of
20 cardiovascular death, including presumed CV death,
21 remained unchanged throughout the trial in our
22 adjudication charter.

1 Changes to the endpoint definitions before
2 or after the interim analysis did not affect the
3 outcome. Only events adjudicated as outcome events
4 were included in the MACE endpoint. Silent MIs
5 occur in patients with type 2 diabetes, but its
6 ascertainment is challenging. In previous
7 cardiovascular outcome trials in diabetes, the
8 occurrence of silent MI has been included in some
9 trials but not in others.

10 When a trial prospectively includes silent
11 MI in the primary endpoint, a formal process of
12 confirmation is put in place. Now, in the EMPA-REG
13 OUTCOME trial, we decided not to include silent MI
14 in the primary endpoint. Therefore, we did not
15 implement procedures to confirm such events.

16 Let us now look at missingness, another
17 important aspect of trial quality. More than
18 97 percent of patients completed the study and we
19 had vital status in more than 99 percent. In the
20 most conservative sensitivity analysis, in which
21 all patients on empagliflozin with missing vital
22 status were assumed to have died from

1 cardiovascular cause while all patients on placebo
2 to be alive, empagliflozin significantly reduced
3 cardiovascular death by 25 percent. Hazard ratio
4 0.75, upper bound of the confidence interval 0.93,
5 with a highly significant nominal p-value.

6 We did similar analysis for all-cause
7 mortality presuming all patients on empagliflozin
8 without vital status had died, and that all
9 patients on placebo with vital status were alive.
10 Even in this worst-case analysis, empagliflozin
11 significantly reduced all-cause mortality by
12 23 percent. The strength of these data provides a
13 firm foundation to make a complete assessment of
14 risk and benefits.

15 Professor Zinman will now provide his
16 clinical perspective.

17 **Applicant Presentation - Bernard Zinman**

18 DR. ZINMAN: Thank you. Since
19 cardiovascular death, as you've seen, is the number
20 one cause of mortality in diabetes, the results of
21 the EMPA-REG OUTCOME trial become particularly
22 relevant for our patients. We've come a long way

1 in the prevention of macrovascular events in
2 patients with diabetes. In the 1990s, major trials
3 established the importance of statin therapy as a
4 foundation for cardiovascular risk prevention in
5 people with diabetes. ACE inhibitors then became
6 part of the standard of care, with demonstrated
7 beneficial effects on both renal function and
8 cardiovascular outcomes.

9 Now we have seen the added value of
10 empagliflozin, which in our trial was added to
11 standard of care, including statins and ACE
12 inhibitors. We can now offer our patients a new
13 therapy that further reduces cardiovascular death.

14 What have we learned this morning from the
15 EMPA-REG OUTCOME trial? First, and perhaps most
16 importantly, we now have additional confidence with
17 respect to the long-term safety of empagliflozin.
18 Secondly, in the context of patient care, treating
19 a thousand patients with type 2 diabetes and
20 established cardiovascular disease for 3 years with
21 empagliflozin would prevent 27 deaths, the vast
22 majority of which would be cardiovascular deaths.

1 Clearly, these remarkable and clinically relevant
2 benefits exceed the known risks associated with
3 empagliflozin.

4 I will now hand the microphone back to
5 Dr. Woerle to close the presentation.

6 **Applicant Presentation - Hans-Juergen Woerle**

7 DR. WOERLE: We would like to thank our
8 patients, our investigators, our coordinators, and
9 members of the steering committee, adjudication,
10 and data monitoring committees. Without their
11 contribution this large multinational, long-term
12 outcome trial would not have been possible. We are
13 proud of the work that we have done together and
14 look forward translating these CV mortality
15 benefits to patients.

16 We have with us today a number of renowned
17 clinical trialists with expertise across several
18 disciplines: Richard Bernstein, neurologist,
19 stroke expert; Jared Butler, heart failure
20 cardiologist; James Januzzi, cardiologist and
21 member of the adjudication committee; Robert
22 Makuch, statistical expert; Marc Pfeffer,

1 cardiologist; Stuart Pocock, statistical expert and
2 senior advisor to our data monitoring committee;
3 Christoph Wanner, nephrologist; Bernie Zinman,
4 endocrinologist and our trial chairman. Thank you.

5 **Clarifying Questions to Applicant**

6 DR. SMITH: Thank you.

7 We now have time for some clarifying
8 questions from the committee. And the focus here
9 is really on data clarification. We'll have time
10 for discussion later. I see a few hands up
11 already. If you would particularly signal to
12 Commander Bonner, she will list your names and I'll
13 try to get to everyone in order. Dr. Hiatt?

14 DR. HIATT: Thank you for a most informative
15 presentation, and I also found the background
16 material to be quite accessible. My primary
17 question at this stage is to help us understand how
18 you got from a MACE primary endpoint to a
19 cardiovascular-labeled indication.

20 The question I think will probably be to the
21 FDA later in the day, but specifically the trial
22 was designed as a safety trial; 3-point MACE was

1 the primary endpoint. In the hierarchal testing,
2 an adjustment of alpha was based on testing for
3 non-inferiority to demonstrate cardiac safety, and
4 then to superiority.

5 I specifically noted in your materials and
6 in the FDA materials that there was no hierarchal
7 testing on components of the primary endpoint or
8 other secondary endpoints. So I don't understand
9 how we're going from MACE and the totality of the
10 cardiovascular events to a component of MACE that
11 seems selected to maximize demonstrating the
12 benefit of the drug. And I guess I'd like you to
13 help me understand where that strategy came from
14 and how you can defend it.

15 DR. WOERLE: Okay. Empagliflozin reduced
16 3-point MACE, the primary outcome, by 14 percent on
17 top of standard of care, independent of glycemic
18 control. This was entirely driven by a 38 percent
19 reduction -- can I have slide 3 up
20 please -- reduction in cardiovascular death,
21 without significant effects on the atherosclerotic
22 endpoints, non-fatal MI, and non-fatal stroke.

1 Now there are medical and methodological
2 reasons to support the CV indication, and I have
3 with us Dr. Pocock, who will give his statistical
4 perspective on the finding and this, if we are
5 allowed, will take a few minutes.

6 DR. POCOCK: All right, thanks very much.
7 Besides being a statistical expert, I sort of
8 specialize in critiquing evidence of cardiovascular
9 outcome trials in general. So what I'd like to
10 give with my first slide please, the next slide, is
11 my take on the strength of evidence and why it
12 merits concentrating on cardiovascular death.

13 My next slide, please. As you have already
14 seen, the overview of the primary endpoint findings
15 are shown on this slide, showing a 10.5 percent
16 primary endpoint rate on both doses of
17 empagliflozin combined versus 12.1 percent on
18 placebo, which gives you a primary endpoint p-value
19 of 0.04, statistical significance in the
20 conventional sense.

21 Now, I think with all primary endpoints,
22 they are a mixed bag, and therefore you'd like to

1 understand what's driving it, because from a
2 clinical patient point of view, that's what really
3 matters. Where does the benefit really lie?

4 Well, you see on the rest of this slide,
5 broken down by the three components, cardiovascular
6 death, myocardial infarction, and stroke, you see
7 that it's really all driven by cardiovascular
8 death, 3.7 percent versus 5.9 percent, with an
9 astonishing p-value, which you don't see very
10 often, of 0.0003, whereas for myocardial infarction
11 and stroke, there was no suggestion either way of a
12 really firm benefit or harm. So then therefore you
13 concentrate on the component where the action is,
14 because that's what matters to patients.

15 Let's move to the next slide, please. Then
16 you look for a consistency across doses, and this
17 is confirmed very clearly on this slide. You can
18 see both for the 10-milligram and the 25-milligram
19 doses, you see a cumulatively lower rate of
20 cardiovascular death over time, substantially below
21 the grey placebo curve.

22 Above you see the hazard ratios for the two

1 separate doses, and that's very convincing. You
2 see hazard ratio of 0.65 for the lower dose,
3 10 milligrams, and 0.59 for the higher dose,
4 25 milligrams. And you see the high level of
5 statistical significance is still there when you
6 split by dose, p of 0.0016 and p of 0.0001
7 respectively.

8 Before I go to the next slides, I'd just
9 like to say it's important to see a consistency
10 across subgroups -- those were slides 54 and 55, to
11 be precise, in the earlier presentation -- and
12 showed that across subgroups you saw a consistency
13 of benefit of empagliflozin versus placebo for
14 cardiovascular death. That was subgroups by
15 baseline characteristics for the patients and also
16 subgroups by different baseline treatments that the
17 patients were on. So consistency is important.

18 My next slide, please. Then we come into
19 multiplicity considerations. Where does
20 cardiovascular death sit alongside all the other
21 endpoints that we could have considered? So there
22 were 10 prespecified adjudicated cardiovascular

1 outcomes. So are we cherry-picking the one that's
2 most significant, and if we are, how do we correct
3 for that?

4 Well, that's where Bonferroni correction
5 comes in. It's a traditional way of multiplying
6 any p-value you see by the number of endpoints that
7 you could have studied. And you see the p-value
8 for cardiovascular death then goes up to 0.003, so
9 still very, very convincing. Multiplicity issues
10 aren't really of concern.

11 If you want to be particularly fussy you
12 could say what about all the endpoints,
13 microvascular and others, that we could have looked
14 at, 42 in all? Even applying Bonferroni correction
15 in that circumstance, we could still get a
16 corrected p-value of 0.0012. So there remains
17 strong evidence of benefit after corrections for
18 multiplicity.

19 Can I go to my next slide, please? Now,
20 when we come to interpreting a remarkable benefit,
21 there is in the back of one's mind, is it too good
22 to be true. And therefore I'm going to use -- I'm

1 not a natural Bayesian. I often argue against
2 Bayesians in other messier settings. But I think
3 it's an appropriate methodology to look at how we
4 can integrate the evidence we have in the trial
5 with the prior skepticism people might have had
6 about not expecting a cardiovascular death benefit
7 for empagliflozin.

8 The first slide here of this Bayesian
9 approach shows the observed data. You say on a
10 hazard ratio scale in green, we see the peak of
11 what we're inclined to believe from the data alone
12 is an observed benefit of 0.62, 38 percent
13 reduction in cardiovascular death. But we accept
14 the uncertainty of that estimate given the
15 finiteness of any given trial. But do remember,
16 we're dealing here with 309 cardiovascular deaths
17 in the whole trial, so that's a considerably larger
18 amount of data than one normally gets in
19 cardiovascular outcome trials.

20 Therefore you see the spread of evidence
21 around that, so the probabilistic interval could go
22 from a benefit as high as a hazard ratio of 0.49,

1 51 percent reduction. On the other hand, the data
2 themselves say, well it might go down on a
3 95 percent probabilistic basis, down to a hazard
4 ratio of 0.77, i.e. 23 percent reduction.

5 Now, my next slide, please. It's
6 interesting to say, how can we express prior
7 skepticism? You can just vaguely say it's all too
8 good to be true, but let's try and be a bit more
9 quantitative than that and say prior belief, in the
10 red curve, could have been that we really didn't
11 think empagliflozin would do anything to
12 cardiovascular death. We have an aura of pessimism
13 from previous trials of previous drugs in diabetes.

14 But we could say, well, you never know. So
15 let's propose that it could be beneficial, but we
16 think that benefit is unlikely to be more than
17 25 percent. Or it could even be harmful, up to a
18 33 percent harm. We just don't know before we do
19 the trial. So let's see. How can we put that
20 prior skepticism alongside the observed data?

21 The next slide, please. That's where Bayes'
22 theorem comes in, and you end up combining the

1 evidence with the prior skepticism to say what
2 should we believe now. In that spirit of too good
3 to be true, the prior skepticism does shrink the
4 estimate somewhat. So you end up now with a
5 posterior belief, the most likely result is that
6 there's a true 26 percent reduction.

7 I think the data were perhaps a little bit
8 lucky in contrast to our prior belief, but there's
9 still a very positive outcome. And that now ranges
10 from a possible 38 percent benefit down to just an
11 11 percent benefit, but with a strong tight
12 posterior belief. In other words here, the data
13 are so strong they overwhelm prior skepticism
14 preserving an underlying positive belief now.

15 Move to my conclusions, please, the last
16 slide. In general, evidence from secondary
17 endpoints need cautious interpretation in any
18 trial. But here, mortality is clearly of huge
19 importance, far more important than non-fatal
20 outcomes. As Dr. Zinman has pointed out, it merits
21 really special attention. It really needs
22 improvements to patients.

1 But cardiovascular death is actually the key
2 component of the primary endpoint, so it's just
3 helping one interpret the primary endpoint result
4 where the action really is, which is of course
5 cardiovascular death. A p-value of 0.0003 for
6 cardiovascular death is overwhelming evidence of
7 benefit. You don't see that sort of strength of
8 evidence very often. And sometimes, we therefore
9 adopt the phrase, proof beyond reasonable doubt of
10 great clinical importance to future patients.

11 Adding in non-cardiovascular deaths
12 preserves that survival benefit. You've seen data
13 on that already. And therefore this cardiovascular
14 death evidence establishes a strong foundation for
15 benefit-risk assessment of empagliflozin. Thanks
16 very much.

17 DR. HIATT: Thank you, but it didn't answer
18 the question, but it did provide more really
19 interesting statistical analysis, which I
20 appreciate. The question is not why you get to CV
21 death. The question is how you get there. So
22 typically in a cardiovascular outcome trial, you

1 prespecify the primary and then you don't look at
2 the secondaries or components until you
3 statistically hit the primary, which you did on
4 superiority. But because it's a safety trial, you
5 focused on the appropriate kinds of hierarchal
6 testing, which all makes sense.

7 What doesn't make sense to me is how -- and
8 since you're asking us to ignore the
9 fatal/non-fatal MI, fatal/non-fatal stroke, the
10 other cardiovascular components are sort of put to
11 the side because there's one compelling finding.
12 And I understand why we're there, and obviously
13 that's a mortality benefit, which is incredibly
14 important. I just don't understand how you got
15 there, because legitimately this trial wasn't
16 designed to test a component of the primary in the
17 way you're doing it.

18 DR. WOERLE: Let's review briefly the
19 statistical hierarchy of testing, because you say
20 this is a safety trial. We started off with this
21 trial as a safety trial, this is correct, and the
22 trial was powered to demonstrate safety to pass the

1 1.8 hurdle. And through the conduct of the trial,
2 we changed the scope of the trial. We enlarged the
3 trial and we fully powered the trial with more than
4 690 events to demonstrate safety and potentially
5 efficacy.

6 May I have slide 3 up, please? This is the
7 hierarchal testing procedure we have prespecified
8 in our statistical analysis plan and protocol.

9 When we started the trial, already in 2010, it
10 foresaw testing for non-inferiority of 3-point MACE
11 followed by testing for non-inferiority for 4-point
12 MACE.

13 Now, here comes the important part. Both of
14 that has been fulfilled. However now, superiority
15 for 3-point MACE was tested. So up to this stage
16 superiority for 3-point MACE, we were successful.
17 However, we did not reach superiority for 4-point
18 MACE.

19 Now, superiority for 3-point MACE has been
20 established. We describe in our current indication
21 statement the most important finding of the trial,
22 which is this large finding on cardiovascular

1 mortality, overall mortality and CV mortality being
2 reduced remarkably in a remarkable high number of
3 events of more than 300 or 400 events, whereas the
4 atherosclerotic components have not contributed.

5 We are of the belief that our data
6 interpretation is best reflected in a descriptive
7 statement that, while it has reduced significantly
8 3-point MACE, the most relevant finding to be
9 described is the finding on cardiovascular
10 mortality.

11 DR. SMITH: Thank you. This has been very
12 helpful, but I do want to stress that as we go
13 forward I would like the sponsor to be as brief and
14 focused as you can in answers so we have time to
15 get around to all the questions here. So it's
16 really useful information, but as a precedent going
17 forward, I'd like to be as focused and brief as we
18 can. Dr. Konstam, you had a question.

19 DR. KONSTAM: Yes. Thanks very much.

20 If Dr. Pocock wouldn't go too far away.
21 Maybe I can do this. I'd like to just park my
22 request, which is that I'd like to see the impact

1 of missing data on the primary MACE endpoint,
2 success. That's the gateway to anything else we
3 get and I haven't seen an analysis of missing data
4 effect on that.

5 So if you could, hold that. But I'd like to
6 ask Stuart to follow up on his comments because,
7 first of all, I want to just say you know as well
8 as anybody that there have been a number of
9 observations of what look like fairly substantial
10 mortality benefits out of secondary endpoints,
11 subgroup analyses, components of the endpoint.
12 We've seen those before and in every case that I'm
13 aware of, when we went on and did the definitive
14 trial on that endpoint as the primary, it didn't
15 hold up.

16 In light of that, I think the issue of the
17 p-value to me is really very important. And as you
18 know, the agency generally requires two trials, and
19 one of the ways they get around that is to say,
20 maybe if the p-value is real small, it's sort of
21 like two trials. So we have this small p-value in
22 this secondary endpoint that is not part of the

1 hierarchal testing.

2 I get the Bonferroni correction. I think
3 that the hierarchy that was set up is actually
4 about adjusting for multiplicity. I mean that's
5 what it's there for. It's prespecified. It's
6 agreed to. I think that you can have strength that
7 you know what those p-values mean.

8 Now you take this other p-value that was not
9 part of the hierarchy and you say I can adjust that
10 by Bonferroni correction, not prespecified. And I
11 just want you to say, how can we really trust that?
12 What is the mathematical meaning of that p-value?
13 Is it sufficient to drive the two-trial
14 requirement?

15 DR. POCOCK: Well, I think it's partly
16 mathematical or statistical and partly what matters
17 to patients. So I'm a trialist. I'm more than
18 just a statistician. I'm a trialist. I
19 collaborate all my life in cardiovascular outcome
20 trials.

21 So I think we need to take here what matters
22 to patients in the context of the statistical

1 evidence. And we have success in a formal
2 statistical sense on 3-point MACE. You try saying
3 to the patient, we have a benefit on 3-point MACE.
4 He's kind of losing interest. His cardiovascular
5 death is the driver.

6 DR. HIATT: I get all that. I get all that,
7 but I'm challenging your view that you can know
8 what that p-value really is, even though it was not
9 part of the hierarchy and it's a post hoc
10 Bonferroni adjustment. How confident are you that
11 you know what that real p-value is?

12 DR. POCOCK: Well, a p-value of 0.00003
13 is --

14 DR. HIATT: Is small. Yes, I get it.

15 DR. POCOCK: -- pretty staggering. I threw
16 Bayes at it to try and say can prior skepticism
17 overcome that. It is a component of the primary
18 endpoint, which I think is important, but it's the
19 driver of the primary endpoint.

20 I have, on many occasions, talked about
21 composite endpoints in general. And when one looks
22 at composite endpoints, always I say, in general,

1 not just about this trial, look at the details.
2 What's the driver within the composite? And pretty
3 obviously the driver is cardiovascular death.

4 So people can nitpick about type 1 errors at
5 anything left, but cardiovascular death is there.
6 I think it's the most staggering result we have
7 seen in cardiovascular outcome diabetes trials
8 probably ever. And therefore to stay within the
9 strict hierarchy of 3-point MACE, 4-point MACE, I
10 think is missing the point about the, importantly,
11 strength of evidence for cardiovascular death, a
12 component of the 3-point MACE, and obviously deaths
13 are more important than non-fatal events as well.

14 DR. SMITH: Thank you. Dr. Proschan, you
15 had a clarifying question?

16 DR. PROSCHAN: Yes. On CQ90, you talked
17 about the fact that the amendments were made before
18 looking at any data. So what made you think that
19 you were going to be able to show superiority
20 without looking at the data? That increase to
21 7,000 -- why did that thought even cross your mind?

22 DR. WOERLE: The last part of your sentence,

1 I didn't hear.

2 DR. PROSCHAN: Pardon?

3 DR. WOERLE: The last part of your sentence
4 I didn't hear.

5 DR. PROSCHAN: Well, basically, what made
6 you think that you were going to be able to show
7 superiority if you didn't look at the data?

8 DR. WOERLE: Three things; medical
9 considerations, effect on blood pressure, of which
10 we know is very important when it comes to outcome.
11 We knew that the drug has a diuretic effect. We
12 knew it reduces glucose and it reduces body weight.
13 From earlier trials, we saw that all relevant
14 biomarkers are going in the right direction.

15 We already speculated in 2010 about a
16 potential beneficial effect in heart failure, which
17 is very important when it comes to mortality in
18 type 2 diabetic patients. That's why we
19 prespecified the endpoint heart failure and
20 adjudicated the events. All those considerations,
21 together with some also preclinical evidence, led
22 us to enter this journey to test here for

1 full-blown superiority.

2 DR. PROSCHAN: But weren't all those things
3 that you just mentioned -- weren't they available
4 before the trial? So what made you decide that you
5 needed to amend it?

6 DR. WOERLE: See, the guidance came out in
7 2008. At the time we put together this trial,
8 together with our phase 3 program, there was a
9 large amount of uncertainty, how to best address
10 this issue. There were proposals that you could do
11 two trials. One, you do pre-registration. You
12 could do another one post-registration and try to
13 pool. Or you do a small trial and you see if you
14 clear the 1.8 hurdle, and then you do another
15 trial.

16 We had some consultation also with the FDA
17 as part of our end of phase 2 meeting. Together
18 with statistical consideration, we said the best
19 approach is to go with one large trial, which
20 allows you to test for non-inferiority and
21 superiority. And this thinking process took some
22 time until we took the decision.

1 DR. SMITH: Dr. Cooke, you had a question?

2 DR. COOKE: With regard to the
3 cardiovascular outcomes, including 3-point MACE and
4 the others, when you look at the efficacy of the
5 two different doses, it seems like they basically
6 overlap. So I'm curious, your interpretation of
7 why there's a lack of a dose-response effect.

8 DR. WOERLE: When we knew from early trials
9 in phase 2b -- remember, when we designed this
10 trial at a point we had no information on larger
11 scale trials, but we knew from our phase 2b trials
12 that there is a small dose response in terms of
13 HbA1c and very subtle also in terms of blood
14 pressure lowering and BMI.

15 We knew from earlier trials in diabetes that
16 the small differences in glycemic control, which we
17 most likely will see in phase 3, will not
18 contribute to hard outcome, because this takes, if
19 any, 10 years or longer to establish. So our
20 underlying assumption was that most likely we will
21 see very similar results with, if any, very small
22 dose response effects in terms of hard outcome.

1 And that's exactly what we saw.

2 But what is remarkable? I wanted to make
3 that point about the assurance of the data, that we
4 see this consistency with two doses. And even
5 though it's not entirely proper, but you almost
6 could see this as two studies in one, with this
7 remarkable consistency on CV death.

8 DR. SMITH: So I have a question. And what
9 I'm looking for is some more clarification on the
10 non-assessable death category being assigned to
11 presumed cardiovascular death. I still don't fully
12 understand. What I'm looking for is to understand
13 what you actually did and some of the specifics of
14 that. Because I understand the words, but I
15 actually don't have a good clinical understanding
16 of what was done and what those patients were.

17 DR. WOERLE: Cases of CV death that could
18 not be attributed to CV death or non-CV death were
19 prespecified in our adjudication charter as
20 presumed CV death. Now, fortunately, we have today
21 Dr. Januzzi with us, who was part of the
22 adjudication panel who adjudicated all those

1 events, who is best equipped to address your
2 question.

3 DR. JANUZZI: Good morning, everyone. It's
4 a pleasure to represent the clinical endpoints
5 adjudication committee. We're an independent group
6 of cardiovascular specialists and clinical
7 trialists that review the cases through the several
8 years of the study. And specifically, what I'd
9 like to do is answer the question that Mr. Chairman
10 posed, but address on a methodological level this
11 question of the higher number of presumed CV death
12 events.

13 The first point, as any clinical trialist
14 will know and people that have performed clinical
15 endpoints adjudication, the heart and soul of the
16 CEC is the charter by which we judge cases. We
17 review cases from the beginning to the end of the
18 trial using the charter as our gold standard.

19 So in order to provide high level and
20 consistent high quality data, it's necessary for
21 the CEC to follow specific definitions in evidence
22 requirements to meet the definition of

1 cardiovascular death, or non-cardiovascular death
2 as prespecified in the adjudication charter.
3 Stated in a more simple way, if patients were
4 submitted for event review that did not meet
5 specifically the expectations outlined in the
6 charter, by definition, they were presumed CV death
7 because of the lack of ability to assess.

8 The point here is it's done to ensure
9 consistent evidence-based and reproducible work
10 from beginning to end of trial out of the
11 adjudication committee. So therefore, the high
12 number of presumed CV death, in the opinion of the
13 reviewers, reflects the fact that we adhere very
14 carefully to the definitions set forth in the
15 charter for meeting CV death.

16 Now, Mr. Chairman, to answer your specific
17 question, this was a broad range of possible
18 scenarios, as you probably know through your
19 review. In many trials, for example, patients
20 found dead in bed would be classified in some
21 studies as sudden cardiac death, whereas in the
22 EMPA-REG OUTCOME study, if we lacked the specific

1 variables to judge sudden cardiac death, we would
2 be compelled to call it presumed CV death. So
3 that's just one example of how those numbers might
4 be affected somewhat through our processes.

5 DR. SMITH: Okay, I think I'm getting more
6 clear about it, but I see -- Dr. De Lemos, yes?

7 DR. DE LEMOS: Pull up CO-57.

8 DR. WOERLE: Slide up, please.

9 DR. DE LEMOS: Just to clarify,
10 three-quarters of the cardiovascular deaths in this
11 trial were either sudden death or presumed CV
12 death. And so that's really the issue if we're
13 going for a CV death claim here. And the
14 question -- in the charter, the individuals with
15 sudden death include a last category on page 124 of
16 your briefing document, where the last category of
17 sudden death is presumed CV death.

18 Now, is this category at the bottom that
19 category? Or in fact some of the sudden death is
20 actually unwitnessed so that the proportion of
21 individuals in whom we really don't know how they
22 died is actually larger? Does that make sense, my

1 question?

2 So the sudden death definition in the
3 charter includes both witnessed sudden death and
4 unwitnessed death. And the question is, is that
5 bottom category the unwitnessed sudden death or are
6 many of those sudden deaths actually unwitnessed?

7 DR. JANUZZI: That's a good question, James.
8 So basically, in order to be termed sudden death,
9 they had to meet specific charter definitions.
10 Therefore, in the presumed CV death, there were
11 patients that were found dead in bed, is the term
12 that's used, who, given their background
13 cardiovascular risk.

14 These patients were enrolled in a
15 cardiovascular safety trial. The mode of death in
16 many trials would have been called sudden death.
17 But in this study, because they did not meet the
18 charter definitions, we called them presumed CV
19 death. Maybe I'm not being clear. To be simple --

20 DR. DE LEMOS: Well, there's a bullet. The
21 question is how many of the sudden -- there are
22 five bullets under the sudden death definition.

1 Four of them require some witnessed event, and the
2 fifth says -- the fifth bullet under sudden death
3 reads, "Unwitnessed death," and there's no
4 conclusive evidence of another non-cardiac cause of
5 death.

6 The question is, did you pull that group out
7 as presumed CV death? Or in fact are the sudden
8 deaths mostly unwitnessed as well, in which case
9 the conclusion is that we basically don't know why
10 the large majority of these people died, which is
11 understandable? It's hard to figure out why people
12 die in an outpatient trial, but if it's a CV death
13 claim, that's a different question.

14 DR. BROEDL: Uli Broedl, Medicine. To
15 answer your question, indeed in addition to the
16 requirement of witnessed death, there was one
17 bullet point, as depicted in our briefing book,
18 that refers to an unwitnessed death. But it also
19 says that the patient should have been seen alive
20 within the last seven days.

21 So in essence, if someone has not been seen
22 for more than a week or so and then was found dead

1 in bed or if, for instance, at the end of the day
2 we have only very limited information what happened
3 to this patient, this patient could then either be
4 categorized, if seen within the last seven days, as
5 sudden death.

6 Or if nothing was available and the patient
7 has been seen for quite some time or limited
8 information, or only at the end of the day a death
9 certificate was available, those patients would
10 have been categorized according to the prespecified
11 charter as presumed CV death.

12 DR. DE LEMOS: Would it be possible at the
13 break to pull them out? I think when we're
14 thinking about an indication in a single trial to
15 meet a definition of CV death, it's important to
16 see what the impact of these presumed deaths and
17 these unwitnessed deaths are on the strength of the
18 association for a single trial for CV death, I
19 think. And that goes to Marv's point, I think
20 about --

21 DR. BROEDL: Then maybe Dr. Januzzi can
22 comment on this. But what has been reported on the

1 CEC voting form, at the end of the day, is CV death
2 and then the type of CV death, but not the reason
3 why presumed CV death versus sudden death was
4 chosen. So we cannot just break down the five
5 bullet points.

6 But what we can do to give you some
7 reassurance is review slide 1, please again, which
8 is the sensitivity of the cardiovascular analysis
9 that I have presented earlier today. Given the
10 uncertainty around presumed CV death, we conducted
11 this sensitivity analysis where we excluded
12 presumed CV death, 124 cases. And the results for
13 empagliflozin on CV death remained significant,
14 virtually identical.

15 DR. SMITH: So if I understood, you're not
16 able to produce the requested breakdown in
17 data -- did I understand that -- for later today,
18 as Dr. De Lemos was requesting?

19 DR. BROEDL: That is correct.

20 DR. SMITH: All right. I think we should
21 move on now. We've got just a few more minutes
22 here before the break. Dr. Good had a question.

1 DR. GOOD: Thank you. As a neurologist, I
2 do have a question about the important secondary
3 outcome measure of non-fatal stroke. Of course, as
4 you pointed out, the HR is higher in the treatment
5 group compared to placebo, although it's not
6 statistically significant, I understand that. So,
7 you noted, when you added TIA, that the HR
8 approached 1.

9 So a comment -- the diagnosis of TIA has
10 some clinical noise in it. Some things that are
11 thought to be TIAs are not TIAs, and it might
12 falsely suggest that it isn't as close to 1 as you
13 suggest.

14 The other thing I'd be interested in is that
15 I'd be interested in the number of disabling
16 strokes, which is quite important to patients, and
17 how you determine the disability. You may want to
18 talk about this, this afternoon.

19 The final thing I want to comment on is the
20 disparity between stroke incidence among different
21 regions. In fact, there's some suggestion that the
22 incidence in Europe was much, much higher than

1 other regions, and that puzzles me. It suggests
2 there's a variability perhaps in diagnosis in
3 different regions, and Latin America is very low.
4 So I'd just like you to comment on that and it
5 raises some question in my mind about the accuracy
6 of diagnosis across the regions.

7 DR. BROEDL: First we have to say, this
8 trial was set up as a cardiovascular outcome trial.
9 We did not set it up as a stroke trial. So there
10 is some certain limitation on the degree of
11 information we have from this trial.

12 From our point of view, the most important
13 hazard ratio is actually fatal and non-fatal stroke
14 together, because that should go along with each
15 other. And there, the hazard ratio is 1.18, with
16 largely overlapping confidence interval, indicating
17 a non-significant finding.

18 We have a team here of Sven Koehler and a
19 stroke expert who reviewed extensively the data.
20 And I would ask Richard Bernstein, who is a stroke
21 expert, to give his assessment on when he looked at
22 the stroke data, in particular on disabling stroke

1 and also the regional question.

2 DR. SMITH: Again, what I'm going to ask for
3 is to as specifically and briefly as possible
4 answer the question that Dr. Good has raised,
5 rather than just give us some more background on
6 this.

7 DR. BERNSTEIN: Thank you. My name is
8 Richard Bernstein. I'm a stroke neurologist and
9 stroke clinical trialist at Northwestern
10 University. Thank you, Dr. Good, for your
11 question. Let me talk first about the stroke
12 severity issue relating to fatal and non-fatal.
13 Slide 2 up, please.

14 When we reviewed stroke in some detail, when
15 I did with the team, we wanted to look at the range
16 of severity of stroke endpoints because of this
17 1.18 hazard ratio. And if this finding were a real
18 finding, for example, I would have expected to see
19 an increase across the range of severity from
20 fatal, non-fatal, non-disabling, and TIA, which are
21 all really the same disease.

22 In fact, if you look at this forest plot,

1 what is see is the point estimate bouncing back and
2 forth across unity, so that there are fewer fatal
3 strokes and disabling strokes, a few more non-fatal
4 strokes, fewer TIAs. And if you put stroke plus
5 TIA together as a total cerebral vascular event
6 count, we're at about unity. So to me, this
7 oscillation back and forth suggests that it's all
8 sort of a random finding.

9 Regarding region, if we could get the slide
10 up by different regions, yes, here we are. Slide
11 2, please. Thank you. So looking at stroke by
12 region, what's most striking to me is what is
13 likely anomalously low incidence of stroke in the
14 placebo group, which as a clinical trialist is the
15 thing you never really want to see. But we were
16 unable, in going through all of the risk factors
17 and data in detail, to find any explanation. And
18 so my conclusion is this is a chance finding.

19 DR. SMITH: Okay, Dr. Neaton, you had a
20 question?

21 DR. NEATON: I did. Thank you. So
22 actually, I had a question that will ultimately

1 lead to the same one Dr. Konstam had. So you had
2 an extreme result for cardiovascular mortality, but
3 the component for which it's part, 3-point MACE,
4 the p-value is more borderline. And there's also
5 more unknown information about those events than
6 for mortality, which makes sense.

7 So two questions. One, precisely, I could
8 not determine how you did the censoring when you
9 did the 3-point MACE because there were deaths,
10 obviously, which must have occurred after you
11 became unknown about the non-fatal status, for
12 example, and how those were handled. And also what
13 other sensitivity analyses were done around that
14 3-point MACE outcome that kind of prompts us, if
15 you will, to think very carefully about the
16 contributing components?

17 DR. WOERLE: We counted. This was a time to
18 first event analysis, so patient could have had a
19 stroke, time to first event.

20 DR. NEATON: No, I understand that, but I'm
21 particularly interested in understanding precisely
22 when you censored follow-up for people when they

1 had an unknown event status because the unknown
2 event status could have happened earlier for
3 non-fatal events than fatal events.

4 DR. WOERLE: I see.

5 DR. NEATON: So presumably a person could
6 have died from cardiovascular disease after you
7 lost track of them for non-fatal MI and stroke.

8 DR. WOERLE: Our project statistician is
9 best equipped to answer this question, Stefan
10 Hantel, may I ask you?

11 DR. HANTEL: Stefan Hantel, biostatistics.
12 When we looked at MACE 3, we censored the patient
13 as presented in the presentation at last time when
14 we were aware that the patient was event free.
15 This means vital status and knowing that the
16 patient is alive at study end was not sufficient.
17 Therefore, we have only 97 percent of patients
18 followed up for MACE 3 at the end of the trial, but
19 99 percent for vital status.

20 So the difference is that we censored
21 patients with a positive vital finding in the sense
22 that the patient was still alive at the last time

1 we were aware that the patient was event free for
2 non-fatal stroke, non-fatal myocardial infarction.

3 DR. NEATON: Presumably a person could have
4 died from cardiovascular disease after that time
5 point and they would have been excluded from the
6 analysis?

7 DR. HANTEL: These patients were included
8 because we tried to capture the event. So you are
9 right. In this patient, it can happen that we
10 missed a non-fatal event. But if you focused on --

11 DR. NEATON: More of my question is, what
12 happens if you've lost track of their non-fatal
13 endpoint status and then later on, you determine
14 that they've died, say, from cardiovascular
15 disease? Is that event counted?

16 DR. HANTEL: Yes.

17 DR. NEATON: It is counted?

18 DR. HANTEL: Yes.

19 DR. NEATON: So what sensitivity analyses
20 have you done around that censoring mechanism, and
21 more generally the missing non-fatal endpoint, to
22 kind of convince us that 0.038 is really robust?

1 DR. HANTEL: We had prespecified sensitivity
2 analysis focusing on events happening up to 30 days
3 after treatment stop. This was presented earlier
4 today.

5 DR. NEATON: But we're interested in the
6 missing data. You had 144 people, for example,
7 with unknown non-fatal endpoint status for MACE 3.

8 DR. HANTEL: What we did for MACE 3, when we
9 assume, looking at vital status, patients are that
10 in EMPA and alive in placebo. The hazard ratio is
11 almost unchanged. When we went through this
12 analysis for MACE 3, assuming that those patients
13 we didn't follow up to the end, we would lose
14 significance for superiority but still maintain
15 non-inferiority.

16 DR. NEATON: That's a very extreme kind of
17 sensitivity analysis and so I accept that that's
18 what you would find, but have you done any kind of
19 analyses to understand the reasons for missingness
20 that would give us kind of something that might be
21 closer to the truth?

22 DR. HANTEL: What we did not perform is like

1 multiple implementation to address missingness.
2 What we can show you is time to censoring for
3 MACE 3. Slide 2 up, please. And here we see that
4 the time to censoring is not really different
5 between empagliflozin and placebo.

6 DR. NEATON: I only see one line --

7 (Laughter.)

8 DR. HANTEL: I'm close enough, so there are
9 some small differences, but it's virtually
10 identical.

11 (Laughter.)

12 DR. NEATON: We'll come back later and talk
13 to you about your next presentation about the
14 censoring. Thanks.

15 DR. WOERLE: Yes. It tells you about the
16 accuracy of our statisticians.

17 (Laughter.)

18 DR. SMITH: We need to take a break. We're
19 going to take about a 15-minute break. I'm going
20 to start just a little bit before 10:30, and we'll
21 return to those panel members who have questions
22 that we didn't get to. We'll get to those later

1 today.

2 Panel members, please remember there should
3 be no discussion of the meeting topic during the
4 break among yourselves or with any member of the
5 audience. So we'll start about 28 past the hour
6 here.

7 (Whereupon, at 10:14 a.m., a recess was
8 taken.)

9 DR. SMITH: I would like people to start
10 taking your seats. We're going to start in just
11 another minute or so here. So we'll now proceed
12 with the FDA presentations, and we will come back
13 to more clarifying questions later on today.

14 **FDA Presentation - Andreea Lungu**

15 DR. LUNGU: Good morning. My name is
16 Andreea Lungu, and I'm a clinical reviewer in the
17 Division of Metabolism and Endocrinology Products.
18 On behalf of the review team, I would like to thank
19 the committee for being here today.

20 Today, I will be presenting the FDA's review
21 of the findings from the EMPA-REG OUTCOME study. I
22 will refer to this study as the EMPA-REG study

1 throughout my presentation. I will --

2 DR. SMITH: Could we have the volume up just
3 a little bit?

4 DR. LUNGU: I will begin by briefly
5 reviewing the regulatory history for this trial,
6 which is complex. I will follow with a description
7 of the EMPA-REG OUTCOME trial, including the
8 objective, design, trial conduct, and study
9 population. Dr. Jennifer Clark will then present
10 the FDA's statistical assessment.

11 Following her presentation, I will discuss
12 the factors affecting the interpretation of the
13 primary endpoint, selected exploratory endpoints,
14 followed by some of the non-cardiovascular safety
15 findings. I will then conclude with a summary of
16 the FDA presentation.

17 The EMPA-REG OUTCOME trial was conducted to
18 address the 2008 guidance for industry on
19 evaluation of cardiovascular risk for new
20 anti-diabetic drugs, and was primarily designed to
21 address a safety question. As a result, the trial
22 design may differ from trials conducted to address

1 an efficacy question.

2 As a reminder, the guidance recommends that
3 applicants exclude 80 percent excess ischemic
4 cardiovascular risk pre-approval, and 30 percent
5 excess ischemic cardiovascular risk post-approval.
6 This can be achieved through a meta-analysis or a
7 dedicated trial. I will now discuss how the
8 EMPA-REG study was utilized to address this
9 guidance.

10 The role of the EMPA-REG study in the
11 cardiovascular risk assessment evolved over time,
12 and changes to the trial design occurred while the
13 trial was ongoing. These changes, to some extent,
14 reflected changes in the applicant's strategy to
15 address various aspects of the guidance, and were
16 in part informed by advice received from the FDA.

17 The initial proposed cardiovascular risk
18 assessment is shown here. The EMPA-REG study is
19 shown in red and was to provide cardiovascular
20 events for the purpose of evaluating the
21 pre-approval cardiovascular risk margin only.
22 There were three planned looks to exclude the

1 1.8 risk margin, denoted by the three arrows. The
2 first was to occur at completion of phase 3
3 diabetes trials. The second was to occur when
4 60 events had accrued, and the last after accrual
5 of 152 events.

6 The role of the EMPA-REG study in the
7 assessment of the post-approval cardiovascular risk
8 margin was unclear. The agency communicated that
9 this proposal lacked clarity, did not sufficiently
10 control for type 1 error, and was not powered to
11 address the 1.3 risk margin. The agency asked that
12 these issues be addressed before agreeing to the
13 plan.

14 The second proposal for the cardiovascular
15 risk assessment is shown here. The EMPA-REG study
16 is again shown in red. In this proposal, the
17 EMPA-REG study was to provide events for the
18 purpose of evaluating both the pre-approval and
19 post-approval cardiovascular risk margins.

20 The applicant proposed to evaluate the 1.8
21 cardiovascular risk margin using a meta-analytic
22 approach and to perform the first analysis when 118

1 MACE-plus events had accrued. The applicant
2 proposed to then initiate a second cardiovascular
3 outcomes trial, shown in green, which would be
4 combined with the EMPA-REG study to evaluate the
5 1.3 cardiovascular risk margin using a
6 meta-analytic approach when 711 MACE-plus events
7 had accrued.

8 The applicant had plans to test both
9 noninferiority and superiority hypotheses after
10 accruing all 711 events. The FDA commented that
11 increasing enrollment in the EMPA-REG test both
12 non-inferiority and superiority study would be more
13 efficient than initiating a second study for the
14 purpose of excluding the 1.3 cardiovascular risk
15 margin.

16 The FDA also expressed reservations with the
17 applicant's plan to use a meta-analytic approach to
18 test for superiority for the purpose of obtaining a
19 cardiovascular risk reduction claim. The FDA
20 recommended that the applicant consider using a
21 dedicated outcomes trial if their intent was indeed
22 to pursue a potential cardiovascular risk reduction

1 claim.

2 The final cardiovascular risk assessment
3 plan is shown here. The plan to exclude the 1.8
4 risk margin remained the same. The plan no longer
5 sought to rely on a second cardiovascular outcomes
6 trial to accrue sufficient number of events, but
7 added an additional 3,000 patients to the ongoing
8 EMPA-REG study instead. Changes to the EMPA-REG
9 study protocol were made to reflect this change in
10 strategy and submitted with the final
11 cardiovascular risk assessment plan. The FDA
12 agreed with this plan.

13 With this final plan, the protocol was
14 changed and the trial size was adequate from this
15 point onward to independently exclude the 1.3 risk
16 margin for MACE. The protocol specified that the
17 trial would stop once 691 MACE events accrued. The
18 protocol also specified that the planned interim
19 analysis would occur after 118 events had accrued.

20 The plan for the interim appropriately
21 adjusted the type 1 error to account for this
22 interim look. Superiority testing was allowed, and

1 was to be conducted only after the 1.3 risk margin
2 was excluded for both MACE and MACE-plus.

3 The empagliflozin new drug application was
4 submitted in March 2013. The database for the
5 EMPA-REG OUTCOME study interim analysis supporting
6 the pre-marketing cardiovascular risk assessment
7 had been locked on August 21, 2012. The analysis
8 for the pre-marketing cardiovascular risk margin
9 was based on a total of 196 MACE events, with the
10 majority of these events being contributed by the
11 EMPA-REG study.

12 Interim data from the EMPA-REG study was
13 used to test both the 1.8 and 1.3 risk margins at
14 that time. To give you an idea of the ongoing
15 trial, approximately 5,000 patients had been
16 randomized into the EMPA-REG study at the date of
17 the database lock.

18 Finally, to support regulatory filing of the
19 application in the U.S. and worldwide, some level
20 of unblinding at the applicant level occurred.
21 Indeed, approximately 230 individuals were given
22 access to patient-level data and interim results

1 for the ongoing trial. The unblinded individuals
2 were required to sign a confidentiality agreement
3 stating that the results would be kept
4 confidential.

5 The results of the prespecified
6 pre-marketing cardiovascular risk analysis revealed
7 no signal of increased cardiovascular risk
8 associated with the use of empagliflozin. The
9 hazard ratio for 1.8 and for the prespecified
10 interim analysis for 1.3 are shown here.

11 Empagliflozin was approved in August 2014.
12 At the time of approval, the FDA required that the
13 applicant continue evaluating the cardiovascular
14 risk associated with empagliflozin use by issuing a
15 postmarketing requirement.

16 The requirement specified that the EMPA-REG
17 study alone be used to evaluate the postmarketing
18 risk margin, and that the assessment be based on
19 3-point MACE. Adverse events of interest,
20 unrelated to cardiovascular risk, were to be
21 collected as part of that requirement.

22 Having covered the regulatory history, I

1 want to now spend some time reviewing the study
2 design and study conduct. There were some general
3 features of the design that did not change over the
4 course of the study, and these are illustrated
5 here.

6 The study was always a randomized,
7 double-blind, placebo-controlled trial. The study
8 population evaluated in the study were adults with
9 type 2 diabetes who were also at high risk for
10 atherosclerotic cardiovascular disease.

11 The duration of the study was always to be
12 event driven. Subjects were randomly assigned to 2
13 doses of empagliflozin or placebo in a 1 to 1 to 1
14 fashion. The investigational drug or placebo were
15 added to local standard-of-care drugs for both
16 diabetes and atherosclerotic cardiovascular
17 disease.

18 Therapies for diabetes and atherosclerotic
19 cardiovascular disease were to be adjusted
20 throughout the trial by treating physicians to
21 achieve therapeutic targets set by local
22 professional guidelines. These instructions were

1 expected to result in minimal differences in
2 glycemic control and cardiovascular risk factors
3 susceptible to confining interpretability of the
4 final study results.

5 The primary objective of the study was to
6 evaluate the ischemic cardiovascular risk of
7 empagliflozin, and the primary endpoint variable
8 was always the time to a first major adverse
9 cardiovascular event consisting of a 3 part
10 composite comprised of cardiovascular death,
11 non-fatal myocardial infarction, and non-fatal
12 stroke.

13 Key participants involved in study conduct
14 and operation also did not change. The applicant
15 was responsible for the conduct of the trial,
16 communication between investigators and various
17 committees and regulatory bodies, analyzing the
18 interim and final results, and reporting these
19 results to the various worldwide regulatory
20 agencies.

21 The steering committee provided scientific
22 leadership for the design and conduct of the study.

1 An independent data monitoring committee was
2 established to monitor the progress, safety, and
3 efficacy of the empagliflozin phase 3 clinical
4 trials, including the EMPA-REG.

5 The clinical event committee was responsible
6 for central blinded adjudication of all major
7 adverse cardiovascular events. This committee was
8 also to adjudicate MACE events for another
9 anti-diabetic program.

10 Finally, the general process for event
11 adjudication did not change drastically over the
12 course of the study. All fatal events and any
13 events suspected of stroke, transient ischemic
14 attack, myocardial ischemia, hospitalization for
15 unstable angina or heart failure, stent thrombosis,
16 and revascularization procedures were to be
17 referred for adjudication. The adjudication
18 process, briefly outlined on this slide, was a
19 standard adjudication process for outcomes trials.

20 While the general features of the trial did
21 not change drastically, there were some important
22 changes made over the course of the trial to the

1 clinical trial protocol, the CEC charter, and
2 statistical analysis plan that I will now discuss.
3 To frame that discussion, it may be helpful to
4 orient yourselves with some of the major milestones
5 of the trial, which are shown in the figure.

6 The date of first consent occurred in July
7 2010, and the first patient was randomized in
8 Canada on September 15, 2010. At this point, the
9 FDA had not seen the protocol. The date for
10 interim data cutoff was June 22, 2012, and the date
11 of interim database lock was August 2012. The last
12 visit for the last subject occurred April 13, 2015,
13 and the date of final database lock occurred on
14 June 22, 2015.

15 I will use this timeline as a frame of
16 reference to describe other changes. I will
17 discuss some of the major changes, starting with
18 the changes to the clinical trial protocol, in the
19 next few slides.

20 The original version of the protocol was
21 finalized on May 10, 2010. This version was not
22 submitted to the FDA as the study was not yet

1 initiated in the U.S. at this time. Version 2 of
2 the protocol, dated September 22, 2010, was
3 submitted to the FDA to support enrollment of
4 patients from U.S. sites. Comments on the protocol
5 were not requested at that time.

6 In April of 2011, the applicant submitted
7 version 3 of the protocol, which included changes
8 to the inclusion and exclusion criteria, mainly to
9 liberalize the criteria to allow for expanded
10 enrollment of patients with single-vessel disease
11 in a major coronary artery. Previously it only
12 allowed patients with multi-vessel disease.

13 At that time, approximately 1200 individuals
14 had already been randomized. As illustrated here,
15 this change was made prior to the interim analysis.
16 The applicant reported that these changes were made
17 based on advice received from the steering
18 committee.

19 Version 4 of the protocol was finalized
20 December 2011, and submitted to the FDA in January
21 of 2012. The changes made in this version were in
22 response to the discussions that occurred between

1 the applicant and the FDA with respect to version 2
2 of the protocol. At that time, 3400 individuals
3 had been randomized into the trial.

4 Many important changes were made in this
5 version of the protocol. Some of these changes
6 reflected advice received from the FDA related to
7 the overall cardiovascular risk assessment strategy
8 for empagliflozin, and included changes to the
9 sample size and anticipated trial duration.

10 This change was made to ensure that at least
11 691 MACE events would be captured in the EMPA-REG
12 study. From this point onward, the trial was
13 powered to independently exclude the post-approval
14 1.3 cardiovascular risk margin.

15 Additional changes included specifying that
16 silent MI would be excluded from the primary
17 endpoint. This version also specified that the
18 within-trial interim analysis to assess for 1.3
19 would be carried out, and that type 1 error would
20 be controlled to account for this analysis.

21 All these changes to the protocol occurred
22 prior to the interim analysis, as you can see from

1 the timeline. While the FDA agreed with the
2 general changes, the issue of silent MI was not
3 specifically discussed.

4 Finally, version 5 made some specific
5 changes to require that ECG be reviewed by
6 investigator, change the follow-up period from 4
7 weeks to 30 days, and added adjudication for
8 malignancies and hepatic events to address
9 potential safety concerns.

10 While I have limited my discussion of the
11 protocol changes to the major revisions, it is also
12 worth noting that there were changes to secondary
13 and tertiary exploratory endpoints.

14 I will now discuss the changes to the CEC
15 charter. The CEC charter contained the endpoint
16 definitions to be used in the adjudication process.
17 I will focus on changes that were made to endpoint
18 definitions over the course of the trial.

19 The original CEC charter was created prior
20 to the initiation of the EMPA-REG study for use
21 with another anti-diabetic development program.
22 The empagliflozin program was added to the existing

1 CEC charter. There were at least nine versions of
2 the CEC charter, and here I will limit my
3 discussion to changes that are potentially relevant
4 to the interpretation of cardiovascular outcomes.

5 Changes to the endpoint definitions were
6 made in version 6 of the charter. Selected changes
7 are presented on the slide. The myocardial
8 infarction definition was liberalized from
9 requiring symptoms of ischemia and two supportive
10 criteria, ECG, biomarker, or imaging, to requiring
11 symptoms of ischemia, and only one additional
12 supportive criterion.

13 Also, the cardiac biomarker elevation
14 criterion was changed from one requiring the
15 99 percentile of the upper reference limit to one
16 requiring that cardiac biomarker be found to be
17 above the upper reference limit for assay.

18 The hospitalization for unstable angina and
19 hospitalization for heart failure were also
20 expanded to allow for overnight admission to chest
21 pain observation units in addition to emergency
22 room visits and hospital admissions. The stroke

1 definition only had minor modifications.

2 These changes were implemented prior to the
3 interim analysis, were dated February 18, 2012, and
4 were made at the recommendation of the CEC members.

5 The definition of hospitalization for heart
6 failure was again changed in version 8 of the CEC
7 charter on April 4, 2014. This change further
8 liberalized the definition and allowed for
9 inclusion of hospitalization for heart failure
10 events if oral diuretics had been increased or
11 initiated. Prior to that, only events resulting in
12 initiation or increase in IV therapies qualified.
13 This definition change occurred after interim data
14 unblinding.

15 In version 9 of the charter, dated December
16 2014, subdural hematoma was removed from the
17 definition of ischemic stroke. Although the
18 endpoint definitions changed significantly during
19 the trial, events were adjudicated according to the
20 applicant based on the last definitions.

21 It should be noted that some definitions
22 differ significantly from the current standardized

1 definitions for cardiovascular disease and stroke
2 endpoints, and in particular the definition for
3 hospitalization for heart failure. The impact of
4 these changes on study results could not be
5 quantified retrospectively.

6 I will now discuss key changes made to the
7 statistical analysis plan for this trial. The
8 statistical analysis plan for the EMPA-REG study
9 was finalized prior to the interim analysis. In
10 the initial statistical analysis plan, dated
11 August 24, 2012, the primary endpoint was listed as
12 3-point MACE, which is a composite of
13 cardiovascular death, non-fatal myocardial
14 infarction excluding silent MI, and non-fatal
15 stroke.

16 The secondary endpoint was 4-point MACE,
17 which is a composite of cardiovascular death,
18 non-fatal MI excluding silent MI, non-fatal stroke,
19 and hospitalization for unstable angina. Silent MI
20 and heart failure requiring hospitalization were
21 other secondary endpoints.

22 A revised statistical analysis plan, dated

1 May 2015, was received in November 2015. The plan
2 did not make any changes to the primary and
3 secondary endpoints, but added a significant number
4 of other exploratory endpoints, some of which I
5 will discuss later in this presentation.

6 This version, for the first time, specified
7 the silent MI definition that was to be used for
8 analysis. The statistical analysis plan also added
9 language to clarify that non-assessable deaths are
10 presumed to be CV death and therefore included in
11 the analysis of the primary and secondary
12 endpoints.

13 I will now describe baseline demographic and
14 disease characteristics of the study population and
15 summarize the disposition of the participants.

16 Baseline demographic characteristics and diabetes
17 history were generally balanced in the analysis
18 population.

19 In both arms, the mean age of the study
20 participants was 63 years, and the mean hemoglobin
21 A1c was 8.1 percent. The majority of subjects had
22 been diagnosed with type 2 diabetes for more than 5

1 years, with over half of subjects diagnosed for
2 more than 10. The proportion of patients reporting
3 diabetic complications was also balanced between
4 the treatment groups.

5 The treatment groups were also similar in
6 terms of baseline cardiovascular disease history.
7 The history of coronary artery disease was present
8 in around 76 percent of individuals at trial entry,
9 and almost half of the subjects reported a history
10 of past myocardial infarction. Around 23 percent
11 of patients had a history of stroke, and around 21
12 percent a history of peripheral artery disease.

13 I will now turn to participant disposition.
14 My slide shows participants in the analysis
15 population, which excludes participants from sites
16 with significant good clinical practice violations
17 and 8 individuals who were randomized but never
18 treated.

19 In the analysis population, 7,020 subjects
20 were randomized and treated with at least one dose
21 of the intervention. Information on MACE was
22 available for 6,809 subjects at the end of the

1 study, or approximately 97 percent of the original
2 cohort. 211 subjects prematurely discontinued the
3 trial and did not have complete data on 3-point
4 MACE. These individuals account for the missing
5 information on MACE.

6 Information on vital status was available
7 for 6,967 subjects, approximately 99.2 percent of
8 the original cohort. Only 53 subjects did not have
9 information on vital status. These individuals
10 account for the missing information on death in
11 this study.

12 I will now turn the presentation over to our
13 biostatistician, Dr. Jennifer Clark, to review the
14 statistical assessment of the EMPA-REG safety
15 trial.

16 **FDA Presentation - Jennifer Clark**

17 DR. CLARK: Thank you. Good morning. My
18 name is Jennifer Clark. I will be giving a
19 presentation with the statistical assessment of the
20 EMPA-REG trial. I'll start with a brief overview
21 of aspects from the trial that were important for
22 the statistical assessment. This includes the

1 analysis population, trial duration, and
2 statistical methods.

3 I will go over the trial results and the
4 testing hierarchy that was used to preserve the
5 type 1 error. In order to understand the results,
6 we will take a closer look at the primary 3-point
7 MACE results and the components that make up this
8 composite endpoint. I will also consider all-cause
9 mortality within the trial, as well as follow up
10 for mortality and cardiovascular MACE endpoints.
11 Sensitivity analysis results will be shown for both
12 MACE and death.

13 This trial was part of a diabetes drug
14 requirement to rule out a 30-percent increase in
15 cardiovascular risk when compared to placebo. This
16 is the first of the diabetes safety trials where
17 superiority is being considered for what were
18 initially safety endpoints.

19 The duration of this trial was less than
20 five years, with first randomization occurring on
21 September 15, 2010, and the last on April 19, 2013.
22 Those with last study visits on or after

1 December 15, 2014 were considered completers for
2 the study.

3 The primary objective of this trial was to
4 demonstrate safety with non-inferiority of
5 empagliflozin against placebo for major adverse
6 cardiovascular events, or MACE. Type 1 error was
7 controlled for multiplicity through a prespecified
8 testing hierarchy. This hierarchy only controlled
9 error for the 3 point and 4-point MACE endpoints.

10 The first step in the hierarchy was testing
11 an increase in CV events using a non-inferiority
12 margin of 1.3 for 3-point MACE. If a 30 percent
13 increase in CV events with 3-point MACE is ruled
14 out in the first step, then 4-point MACE would be
15 tested using the same non-inferiority margin. If a
16 30 percent increase in CV events was ruled out for
17 both MACE endpoints, then similar methodology could
18 be used to test for superiority, first for 3-point
19 MACE, and then 4-point MACE.

20 Patients were randomized to either placebo,
21 10 milligrams of empagliflozin, or 25 milligrams of
22 empagliflozin. The treated set was prespecified

1 for the primary analysis. There were 8 subjects,
2 which were randomized to a treatment arm but failed
3 to start treatment, so they were not included in
4 the primary analysis. There was 37 subjects who
5 started treatment but were excluded due to site
6 non-compliance or other issues. The two
7 empagliflozin treatment arms were prespecified to
8 be pooled together for the primary analysis.

9 A Cox proportional hazard model was used for
10 the primary analysis. The model included adjusting
11 baseline covariates for age, sex, BMI, HbA1c, eGFR,
12 and geographic region, along with study treatment.
13 Hazard ratios were based on Cox model results.

14 There was an additional adjustment to the
15 type 1 error due to the prespecified interim
16 analysis, which had a data cutoff of June 22, 2012.
17 Because of this, the final analysis results here
18 will be based on 95.02 percent confidence intervals
19 instead of the usual 95 percent confidence
20 intervals.

21 Here, I will be presenting the MACE results
22 within the prespecified testing hierarchy. In

1 order to be considered non-inferior to placebo, the
2 upper bound of the 95.02 percent confidence
3 interval for the hazard ratio had to be below 1.3.
4 When testing for superiority against placebo, a
5 similar methodology is used with the upper bounds,
6 but here the bound must be less than 1.

7 Three-point MACE has a hazard ratio of 0.86
8 with an upper bound of 0.99. 4-point MACE has a
9 hazard ratio of 0.89 with an upper bound of 1.01.
10 When looking at this in the testing hierarchy, we
11 see the first hypothesis for ruling out a
12 30 percent increase in CV events is met since the
13 upper bound for 3-point MACE is below 1.3.
14 Non-inferiority against placebo for 4-point MACE is
15 also achieved since this upper bound is also below
16 1.3.

17 The next step in the hierarchy is to move to
18 testing for superiority. Since the upper bound for
19 3-point MACE is below 1, the criteria for showing
20 superiority is met for this endpoint. This means
21 this result supports cardiovascular benefit when
22 comparing empagliflozin to placebo. This is

1 important because it's what the efficacy claim is
2 based upon.

3 When we progress to the last step in the
4 hierarchy, we see that superiority is not met for
5 the 4-point MACE endpoint since the upper bound is
6 not below 1, but non-inferiority still holds. At
7 this point, all alpha for type 1 error is
8 considered lost.

9 Formal statistical testing would stop here
10 had there been any further hypotheses prespecified
11 in the hierarchy. Since the 4-point MACE endpoint
12 did not attain superiority, it will not be further
13 discussed in this presentation.

14 Kaplan-Meier curves of the 3-point MACE
15 endpoint for both the pooled empagliflozin arms and
16 the placebo arm are shown here with the number
17 remaining at risk at the bottom of the table. We
18 see a separation of the curves around 3 months,
19 which continues throughout the study.

20 The total number of patients experiencing a
21 MACE event during the study period was 490 for
22 empagliflozin and 282 for placebo. Since the

1 number of patients in the pooled empagliflozin arms
2 was approximately double the amount on placebo,
3 this translates to 12.1 percent of subjects having
4 a MACE on placebo and 10.5 percent of subjects with
5 MACE on empagliflozin.

6 Three-point MACE is a composite made up of
7 CV death, none-fatal MI, and non-fatal stroke. In
8 order to understand what this and the primary
9 hazard ratio results actually mean we need to look
10 at these and the related counterpart outcomes.

11 The first component of CV death had the
12 largest difference between treatment arms and the
13 number of patients experiencing an event; 172 or
14 3.7 percent of patients had a CV death in the
15 empagliflozin group versus 137 or 5.9 percent of
16 those on placebo.

17 When looking at the related endpoint of
18 all-cause death, of which CV death is a subset, we
19 see that the difference in the number of patients
20 experiencing an event is proportionally similar
21 between the two treatment arms; 5.7 percent of
22 subjects on empagliflozin died versus 8.3 percent

1 on placebo.

2 The second component of 3-point MACE was
3 non-fatal MI. While there were more of these
4 events in total than there were of CV deaths, they
5 were not as disproportionately dispersed between
6 the two treatment arms; 4.5 percent of patients on
7 empagliflozin experienced a non-fatal MI versus 5.2
8 percent on placebo.

9 This close disbursement of events was
10 similarly mirrored when looking at patients who
11 experienced any sort of MI event, fatal or
12 non-fatal, with 4.8 percent experiencing an MI on
13 empagliflozin and 5.4 percent on placebo.

14 The third component of 3-point MACE was
15 non-fatal stroke. This had the smallest number of
16 events with a slight imbalance favoring placebo;
17 3.2 percent of subjects on empagliflozin
18 experienced a non-fatal stroke versus 2.6 percent
19 on placebo.

20 This imbalance is again reflected in the
21 related outcomes of all strokes. Here, we have
22 3.5 percent of subjects on empagliflozin

1 experiencing a stroke versus 3 percent on placebo.
2 It is clear from these figures that the biggest
3 difference between the treatment arms lies in the
4 CV death component, which favors empagliflozin.

5 The estimated incidence rate is based on the
6 number of events, shown on the previous slides, and
7 the total number of patient years observed in the
8 study. For the primary 3-point MACE endpoint,
9 there were 3.7 events per 100 patient years on
10 empagliflozin and 4.4 per 100 patient years on
11 placebo.

12 The difference between the treatment arms
13 for the estimated incidence in each of the
14 components reflects the differences that were seen
15 in the number of events in the treatment arms. CV
16 death and its corresponding all-cause death outcome
17 show the greatest difference between treatment
18 arms.

19 We used the same Cox proportional hazards
20 model from the primary analysis to estimate hazard
21 ratios and 95 percent confidence intervals for each
22 of the components of 3-point MACE. The results are

1 shown here on this plot with a line drawn at 1 to
2 show where hazard rates would be considered the
3 same between the two treatment arms. The hazard
4 ratios are in line with what we would expect based
5 on the number of events occurring in each arm.

6 The primary 3-point MACE endpoint is shown
7 in blue with each of its components in maroon.
8 Both non-fatal stroke and non-fatal MI have upper
9 bounds that are greater than 1. The superiority
10 result for 3-point MACE is clearly due to the
11 imbalance of events seen in CV death.

12 The results from the MACE components also
13 translate to the related endpoints of all strokes,
14 all MIs, and all-cause death. This plot shows the
15 Cox model results for these related outcomes.
16 Given that the ratio of patients experiencing an
17 event was similar to the corresponding MACE
18 component, results here are comparable to these
19 related endpoints.

20 The results for CV death, which had a hazard
21 ratio of 0.62 with an upper bound of 0.78, is also
22 reflected in all-cause death with a hazard ratio of

1 0.68 and an upper bound of 0.82.

2 Since the death results were found to be the
3 main factor for the MACE findings, we will be
4 looking more closely at this. An inherent flaw in
5 the prespecified primary analysis is that those who
6 have a non-CV death are assumed to have a time
7 until MACE equal to those who are alive and
8 censored in the same treatment arm. For the
9 EMPA-REG trial, this bias favored the placebo arm.

10 There were 154 non-CV deaths, of which 19
11 subjects had a non-fatal MACE, so there were 135
12 additional events when including all-cause death in
13 the MACE endpoint, 51 on placebo and 84 on
14 empagliflozin. This led to a hazard ratio of 0.85
15 with an upper bound of 0.97.

16 The Kaplan-Meier plots for CV death and
17 all-cause death are seen here. We again see a
18 separation of the curve starting out within the
19 first few months of the study, like what was seen
20 in 3-point MACE. The overall estimated incidence
21 for CV death was 2.02 for the placebo arm and 1.24
22 for the pooled empagliflozin arm. When looking at

1 all-cause death, this went up to 2.86 for placebo
2 and 1.94 for empagliflozin.

3 We examined follow-up in the trial for both
4 MACE and death, which will be presented along with
5 sensitivity analyses. There were approximately 2.9
6 percent of patients, which prematurely discontinued
7 for MACE in the placebo arm, and 3.1 percent in the
8 pooled empagliflozin arm.

9 Follow-up for death was more complete with
10 0.73 percent on the placebo arm prematurely
11 discontinued, and 0.77 percent in the empagliflozin
12 arm. Premature discontinuation did not seem to
13 affect the overall picture of empagliflozin.

14 Sensitivity analyses for 3-point MACE were
15 run using multiple imputation for the missing
16 follow-up in those prematurely discontinued. The
17 assumed event rate for the missing follow-up until
18 December 15, 2014 was equal to the estimated
19 incidence in the off-treatment patients of the
20 empagliflozin and placebo groups.

21 Results from this analysis using the imputed
22 and observed data were relatively unchanged from

1 the primary analysis results.

2 Sensitivity analyses using an extreme
3 scenario favoring the placebo arm for CV death and
4 death were also run. Results from these analyses
5 showed an upper bound that remained below 1. These
6 results are based on a single cardiovascular
7 outcome trial, which was required to allow a
8 30 percent increase in cardiovascular risk. This
9 trial was a success in ruling out the 30 percent
10 increase for both the primary 3-point and secondary
11 4-point MACE endpoints.

12 Additionally, the trial showed superiority
13 against placebo for 3-point MACE only. Currently,
14 there is no precedent for when these types of
15 safety studies show superiority. The superiority
16 of the 3-point MACE is due to the differences seen
17 between the treatment arms and CV death. When
18 examining the amounts of premature discontinuation
19 for this, we did not find that it affected the
20 results.

21 Thank you. And I will turn this back to Dr.
22 Lungu.

1 DR. LUNGU: Thank you, Dr. Clark. I will
2 now discuss some additional issues related to the
3 primary endpoint finding. While the results of the
4 primary analysis demonstrated a statistically
5 significant effect of empagliflozin on 3-point
6 MACE, there are important additional
7 considerations, which were needed to be weighed
8 when deciding whether this trial provides the level
9 of evidence necessary to form the basis for a new
10 claim.

11 I covered the conduct of the trial in my
12 previous presentation, and I am now going to cover
13 some issues that are specifically related to the
14 interpretation of the results. These issues
15 attempt to address the following two questions.
16 Did the trial win on the primary endpoint, which is
17 arguably the gatekeeper for looking at
18 cardiovascular death, a component of the primary
19 endpoint? And does the trial provide substantial
20 evidence of effectiveness in reducing
21 cardiovascular mortality?

22 Perhaps the most obvious consideration is

1 that the EMPA-REG study was a single study, and as
2 you have heard this morning, two studies are
3 generally needed to form the basis for a new claim.
4 The EMPA-REG study was also primarily designed to
5 rule out excess cardiovascular risk and not
6 specifically to establish a benefit. In that
7 sense, it is a new paradigm and the persuasiveness
8 of the evidence generated by such a trial could be
9 affected.

10 It may also be relevant to consider that the
11 trial was primarily focused on evaluating a
12 specific type of cardiovascular risk. The p-value
13 for superiority regarding the 3-point MACE endpoint
14 was 0.04. As you have heard this morning from
15 Dr. Guettier, the level of evidence for a single
16 trial needs to be very statistically persuasive.
17 You will need to consider whether the statistical
18 results represent a very statistically persuasive
19 finding and whether their persuasiveness is
20 sufficient to form the basis for a new claim.

21 Dr. Clark has reviewed the impact that
22 missing data had on the trial's statistical

1 significance. There may be other clinical issues,
2 such as handling of the silent MIs, which could
3 also impact your level of confidence in the primary
4 results. This will be discussed in a later part of
5 my presentation.

6 As you have also heard, the MACE result
7 appears entirely driven by the effect of
8 empagliflozin on cardiovascular mortality, with
9 little effect on non-fatal stroke or MI. This
10 suggests the benefit of empagliflozin may not
11 reflect atherosclerotic cardiovascular risk
12 reduction, the main risk evaluated in this trial.

13 In the next few slides, I will focus on two
14 specific clinical issues, which may impact your
15 level of confidence in the results. One is the
16 potential lack of complete ascertainment for some
17 myocardial infarction events, namely silent MI
18 events. The second is an absence of information to
19 confirm that the large proportion of cardiovascular
20 deaths were indeed cardiovascular deaths.

21 I will now discuss the myocardial infarction
22 endpoint focusing on the issue of the silent

1 myocardial infarction. Like clinical MI, a true
2 clinical event of silent MI is associated with poor
3 prognosis, and is a clinically important morbid
4 event. Diabetic patients may be particularly prone
5 to these events as they may not present with
6 typical clinical symptoms associated with MI due to
7 their underlying disease.

8 Because this event is an asymptomatic event,
9 it is a challenging event to capture, fully
10 ascertain, and analyze in a cardiovascular outcomes
11 trial. In terms of precedent, some applicants have
12 included these events in their primary endpoint
13 while others have not. To some extent, this has
14 depended on the types of procedures in place to
15 prospectively capture these events.

16 The EMPA-REG study design suggests that the
17 applicant, at least initially, intended to collect
18 silent MI events. With regard to trial planning,
19 silent MI was an event initially defined in the
20 clinical trial protocol and ECGs were collected
21 routinely at prespecified intervals throughout the
22 trial. In addition, a central ECG vendor was

1 retained to analyze ECG changes and flag concerning
2 changes to investigators.

3 Moreover, the case report forms included
4 silent MI as an outcome event to be sent for
5 adjudication. The adjudication committee was to
6 evaluate events, which could be consistent with the
7 primary endpoint, and silent MI was a trigger event
8 that should have been evaluated.

9 In the nine versions of the CEC charter
10 reviewed, we did not identify a specific definition
11 for silent MI. Potential outcome events coded to
12 the preferred term of silent MI, and referred to
13 adjudication, had to fit one of the MI definitions
14 in the charter to be counted as a primary endpoint
15 event.

16 Silent MI was designated as a secondary
17 endpoint in the protocol, but it is unclear whether
18 these events were to be included in the primary
19 endpoint, as these were not explicitly excluded
20 from the initial versions of the protocol.

21 In version 4 of the protocol, which was
22 implemented in 2011, the applicant explicitly

1 specified that silent MI was not to be counted as
2 part of the non-fatal MI component in MACE. This
3 occurred prior to the interim analysis.

4 The final statistical analysis plan, which
5 was implemented in 2015, completely redefined
6 silent MI and changed it from a clinical event to
7 an event purely based on ECG criteria. As I have
8 mentioned, the CEC was to adjudicate investigator-
9 reported silent MIs, but the provided definitions
10 in the CEC charter did not include a specific
11 definition for silent MI. The CEC adjudication
12 committee asked the applicant for clarification
13 with regard to silent MI, and it is not clear that
14 a response was ever provided.

15 As I have said, the final event definition
16 for silent MI used in analysis was implemented in a
17 2015 change to the statistical analysis plan. This
18 was to be used in secondary analysis only, and is
19 based on ECG criteria. I would like to stress that
20 this definition of events did not include any input
21 from investigators or adjudicators, and is subject
22 to these very important limitations.

1 Only about half of the analysis population
2 had data that could be evaluated for the occurrence
3 of silent MI using the applicant's definition.
4 This was because of ECG abnormalities at baseline,
5 absence of post-baseline ECG evaluations, or
6 occurrence of intervening ECG changes unrelated to
7 silent MI event in some participants. This further
8 limits the conclusion that can be drawn from this
9 endpoint.

10 We did explore the impact of inclusion of
11 these events as defined by the applicant in the
12 primary endpoint, and I will show you this on the
13 next slide. Of the 7,020 subjects in the analysis
14 population, only 3,589 had data that could be
15 assessed for a silent MI based on the applicant's
16 final definition. Using this definition, 15 events
17 were identified in placebo and 38 in the
18 empagliflozin group. The incidence of silent MI
19 was slightly higher in the empagliflozin group at
20 1.6 percent compared to 1.2 percent in placebo.

21 Acknowledging that there are major
22 limitations to these data, inclusion of the silent

1 MI in the primary endpoint data leads to rejection
2 of superiority for 3-point MACE. The division
3 concludes that there is missing information on
4 clinically meaningful silent myocardial infarction
5 events in the EMPA-REG OUTCOME study.

6 We would like you to opine on whether the
7 absence of reliable information on silent MI is
8 important in your overall assessment of the
9 persuasiveness of the primary results.

10 The second topic that may impact the
11 persuasiveness of the results is related to the
12 cardiovascular death findings, and specifically
13 related to the large proportion of cardiovascular
14 deaths, which were deemed non-assessable by
15 adjudicators.

16 In the assessment of death, adjudicators
17 were tasked with assigning the cause of death to
18 either a cardiovascular or a non-cardiovascular
19 cause. Deaths assessed as cardiovascular death
20 were further subcategorized as shown in the table.
21 As Dr. Clark discussed, fewer deaths were observed
22 in the empagliflozin group. The difference was

1 primarily due to deaths categorized as
2 cardiovascular death.

3 The largest subcategory for cardiovascular
4 death was non-assessable death. This subcategory
5 made up 40 percent of events, and was followed only
6 by sudden cardiovascular death and death due to
7 heart failure or cardiogenic shock as the most
8 frequent causes of CV death.

9 Given the proportion of events that were
10 reported as non-assessable, it is unclear whether
11 all events were truly cardiovascular death events,
12 or what potential mechanism is responsible for the
13 observed reduction in cardiovascular death.

14 In cardiovascular outcome trials, it is
15 generally acceptable to categorize non-assessable
16 death as presumed to be cardiovascular death,
17 however the expectation is that this category would
18 represent only a small proportion of these events.
19 This is not the case in the EMPA-REG OUTCOME study.

20 Given the relatively large proportion of
21 non-assessable deaths in the EMPA-REG study, we
22 examined the type of information available to

1 adjudicators on these events. Less than half of
2 the patients had a death certificate or proof of
3 death available, and none had autopsy. Although
4 the preferred terms reported by investigators for
5 these patients were suggestive of cardiovascular
6 death in the majority of cases, there is really no
7 information to confirm or refute this from the
8 trial documents.

9 Given the uncertainty around these deaths,
10 we analyzed the impact of excluding non-assessable
11 death on 3-point MACE and cardiovascular death.
12 Excluding non-assessable death from the primary
13 endpoint changes the results from superior to no
14 longer superior.

15 The hazard ratio for 3-point MACE excluding
16 these deaths is 0.9 with a 95 confidence interval
17 of 0.77 to 1.06. Excluding non-assessable death
18 from analysis of cardiovascular death would not
19 alter conclusions on cardiovascular death where the
20 hazard ratio not including these events is 0.59
21 with a 95 percent confidence interval of 0.44 to
22 0.79.

1 We would like you to opine on how these
2 events impact your interpretation of the
3 persuasiveness of the results.

4 I will next discuss the exploratory
5 endpoints of heart failure and renal endpoints. I
6 will start with heart failure. As a reminder, the
7 hospitalization for heart failure definition was
8 liberalized over the course of the trial to allow
9 for the addition of oral diuretic, as well as
10 short-term stays in chest pain observation units.

11 This made the definition less specific and
12 potentially allows for inclusion of episodes that
13 were either milder forms of heart failure or not
14 heart failure at all. The definition used in
15 analysis is less specific and represents less
16 severe events than the current standardized
17 definition.

18 Analysis for hospitalization for heart
19 failure events were purely exploratory in this
20 trial, and type 1 error was not controlled. The
21 direction of change in the results of analysis
22 suggest that treatment with empagliflozin could be

1 associated with a decrease in risk for
2 hospitalization for heart failure or
3 hospitalization for heart failure and death due to
4 heart failure.

5 In addition to the exploratory nature of
6 these analyses, the agency has other reservations
7 with regard to the adequacy of this study to
8 definitively establish a benefit of empagliflozin
9 on heart failure and heart failure outcomes.

10 One reservation is that the study was not
11 designed with the specific intent of demonstrating
12 an effect on heart failure. Only 10 percent of
13 subjects had a reported history of heart failure at
14 baseline.

15 For subjects with a history of heart
16 failure, information on the type of heart failure
17 and the severity of heart failure was not
18 collected. For example, there are no data on
19 ejection fraction or New York Heart Association
20 functional classification for these patients.
21 Furthermore, it is not clear how well heart failure
22 was managed at baseline and throughout the study.

1 While a majority of patients were receiving
2 renin-angiotensin system antagonist and beta
3 blockers, there are no data to evaluate whether the
4 dose of these drugs and other drugs used in the
5 chronic management of heart failure were optimized.
6 While the heart failure findings are interesting,
7 the division believes that data on heart failure
8 are exploratory and should be confirmed in studies
9 designed to specifically assess this outcome.

10 I will now discuss the results based on
11 renal-related endpoints and the issues that affect
12 the interpretability of the results. The applicant
13 proposed changes to the prescribing information to
14 reflect the results of these analyses.

15 Analyses based on renal endpoints were
16 exploratory in the EMPA-REG study. There was no
17 plan to control for type 1 error rate across these
18 analyses in any version of the protocol or
19 statistical analysis plan.

20 The definitions for various renal endpoints
21 were changed significantly throughout the trial.
22 Specifics of renal endpoints to be used in the

1 final analysis were defined late in the trial in
2 the final statistical analysis plan submitted after
3 the interim analysis, and after the trial had
4 ended.

5 In addition, the clinical trial protocol or
6 statistical analysis plan did not specify processes
7 for identifying or confirming potential renal
8 events. These endpoints were identified based on
9 investigator-reported adverse events or in
10 laboratory findings, and were not adjudicated.

11 I will next discuss the results and
12 interpretation of the renal endpoints analysis.
13 Exploratory renal endpoints used for the final
14 analysis included new onset albuminuria, new onset
15 macroalbuminuria, and the composite endpoint new or
16 worsening nephropathy, which included new onset
17 macroalbuminuria, doubling of creatinine with eGFR
18 less than 45, initiation of continuous renal
19 replacement therapy, and death due to renal
20 disease.

21 I will first discuss the albuminuria related
22 endpoints, followed by the composite of new or

1 worsening nephropathy. Albuminuria was assessed
2 using a single spot urine albumin to creatinine
3 ratio measured by a central laboratory. The
4 analysis for new onset albuminuria included
5 subjects without albuminuria at baseline.

6 Similarly, the analysis for new onset
7 macroalbuminuria included subjects without
8 macroalbuminuria at baseline. No notable
9 difference was observed between treatment groups
10 for new onset albuminuria. New onset
11 macroalbuminuria was more frequent in the placebo
12 group.

13 Because empagliflozin causes intravascular
14 volume contraction, we explored the potential
15 impact of hemodynamic effects on urine albumin
16 excretion. I will show this in the next slides.
17 This slide shows changes in spot urine albumin to
18 creatinine ratio over the course of the trial. It
19 suggests lower albuminuria in the empagliflozin
20 group.

21 The changes in glomerular filtration ratio
22 and systolic blood pressure suggests an acute

1 hemodynamic effect with empagliflozin, as shown by
2 the acute drop in eGFR shown on the left, and the
3 acute decrease in systolic blood pressure shown on
4 the right. The changes in eGFR and systolic blood
5 pressure suggests that changes in albuminuria could
6 be driven by these factors.

7 The effect on albuminuria reflects a
8 pharmacodynamic effect. This slide shows urine
9 albumin to creatinine ratio at baseline, last value
10 on-treatment, and after a 30-day wash-out. The
11 effect of empagliflozin on albumin to creatinine
12 ratio appears to go away with wash-out, as
13 illustrated by the increase in urine albumin to
14 creatinine ratio from the last value on-treatment
15 to 30-day follow-up. This suggests that the
16 underlying renal disease is not changed.

17 The applicant used a composite endpoint
18 consisting of new onset macroalbuminuria, doubling
19 of serum creatinine with eGFR less than 45,
20 initiation of continuous renal replacement therapy,
21 and death due to renal disease, to define an event
22 of new or worsening nephropathy. Using this

1 definition, fewer patients in the empagliflozin
2 group seemed to experience this endpoint. I would
3 note that the component that contributes the most
4 to this difference is new onset macroalbuminuria.

5 As I mentioned previously, this may be in
6 great part attributable to the hemodynamic effect
7 with empagliflozin. The other components
8 contributed a small number of events, and 2 out of
9 4 components had too few events to draw any
10 meaningful conclusions.

11 I will review my concerns regarding the
12 components of doubling of serum creatinine and
13 renal replacement therapy over the next few slides.
14 I will not be discussing death due to renal disease
15 as there were only three events reported in the
16 trial.

17 The doubling of serum creatinine was used as
18 a marker of diabetic kidney disease progression.
19 This criterion required only a single post-baseline
20 serum creatinine value more than twice baseline,
21 with an eGFR less than 45 on the same date without
22 confirmation that the decline in renal function

1 persisted after a specified time period.

2 As a result, the endpoint might capture both
3 acute reversible changes in renal function, such as
4 acute kidney injury, and chronic irreversible
5 changes in renal function, such as developmental
6 progression of chronic kidney disease.

7 To explore this issue, we look for a
8 confirmatory creatinine value more than twice
9 baseline and eGFR less than 45 at any time in the
10 30 days following an initial event. The decline
11 was confirmed in fewer than half of subjects with
12 an event, suggesting that most of the initial
13 events may have been cases of acute kidney injury.
14 This endpoint does not appear to be specific in
15 capturing events of nephropathy as defined by the
16 applicant.

17 I will now discuss the evaluation of events
18 classified by the applicant as continuous renal
19 replacement therapy. The definition of this
20 endpoint was not clearly specified, and we reviewed
21 the narratives and CRFs for a random sample of
22 events to gain additional insight. Most of the

1 cases reviewed represented events of acute kidney
2 injury requiring temporary dialysis, and none of
3 them represented chronic dialysis that could be
4 attributed to progression of underlying kidney
5 disease.

6 This suggests that in EMPA-REG, this
7 endpoint was not able to differentiate between
8 acute reversible need for renal replacement therapy
9 and end-stage renal disease. While acute kidney
10 injury events requiring dialysis are clinically
11 significant, they do not necessarily represent
12 events attributable to diabetic nephropathy disease
13 progression.

14 In conclusion, renal endpoints in EMPA-REG
15 were exploratory. There was no control of type 1
16 error. The endpoints selected differ from those
17 typically used to establish efficacy of drugs to
18 treat diabetic nephropathy or to assess effects on
19 irreversible loss of renal function.

20 The endpoints were redefined during the
21 trial and processes to identify and confirm renal
22 events were not defined. The endpoints captured

1 effects on albuminuria, which appear to be a
2 reversible hemodynamic effect, and may not predict
3 treatment effects on renal outcomes.

4 The agency has not accepted on-treatment
5 effects on albuminuria as a surrogate for clinical
6 outcomes in diabetic nephropathy, in part because
7 therapies can have acute reversible pharmacologic
8 effects on albuminuria that may differ from the
9 long-term effects on the irreversible loss of renal
10 function and underlying disease progression.

11 Having completed my discussion of
12 exploratory endpoints, I will turn next to
13 highlight some differences that we noticed between
14 the treatment arms. The study was designed to
15 compare empagliflozin to placebo in addition to
16 local standard of care. The expectation is that
17 this would minimize confounding in the
18 interpretability of the results.

19 Glycemic control was different between the
20 treatment arms. While an early difference in
21 glycemic control was expected, given that one group
22 was randomized on active drug while the other group

1 was not, it was expected that adjustment of co-
2 administered anti-diabetic therapy would minimize
3 differences as the trial progressed. However, as
4 seen on this slide, the difference persisted over
5 the entire course of the trial.

6 The reason for this difference is unclear.
7 Anti-diabetic therapies appear to have been
8 intensified more in the placebo group, yet these
9 changes did not result in minimizing glycemic
10 control differences. Background therapies were
11 increased more, and new anti-diabetics were started
12 more frequently in the placebo-treated subjects.
13 Insulin DPP-4 inhibitors and sulfonylureas were the
14 most frequently used concomitant anti-diabetics.

15 As observed in the original submission,
16 small dose-dependent increases in mean cholesterol
17 were seen with empagliflozin. In addition, small
18 increases in hemoglobin and hematocrit were
19 observed and more patients shifted from normal
20 hemoglobin or hematocrit to values above the upper
21 limit of normal.

22 As expected, based on blood pressure

1 differences, more patients in the placebo group had
2 anti-hypertensive medications added during the
3 trial. However, despite the increase in
4 cholesterol with empagliflozin, fewer patients in
5 the empagliflozin group received additional
6 lipid-lowering medications over the course of the
7 trial.

8 I will next talk about the cardiovascular
9 safety issue we have been monitoring for this
10 product class, namely the risk of stroke events. I
11 remind you that strokes were collected as part of
12 the primary endpoint and that the results showed a
13 numerical imbalance not favoring empagliflozin for
14 this event.

15 A numerical imbalance was also seen with the
16 other two members of the class at the time of the
17 approval and appears inconsistent with the observed
18 findings of blood pressure lowering with this
19 agent.

20 The adjudication of stroke in the EMPA-REG
21 study was based on all available data.
22 Standardized assessments for stroke events, such as

1 clinical assessment or specific imaging, were not
2 required or specified in the protocol or the CEC
3 charter. The CEC charter outlined four criteria
4 for identification of stroke.

5 There needed to be a rapid onset of a focal
6 or global neurological deficit. The deficit needed
7 to last for more than 24 hours, unless it met the
8 criteria shown in parenthesis. The deficit could
9 not be attributed to a non-stroke cause, such as
10 brain tumor or head trauma. Finally, the diagnosis
11 needed to be confirmed either by a specialist by
12 imaging or by lumbar puncture.

13 Two hundred and thirty-three strokes were
14 observed with 210 of these reported as non-fatal
15 strokes. More strokes occurred in the
16 empagliflozin group, and the hazard ratio for
17 stroke, including fatal and non-fatal stroke, and
18 for non-fatal stroke alone, was about 1.

19 These findings raise question with regards
20 to the role of empagliflozin on reduction of
21 atherosclerotic cardiovascular disease progression
22 and cannot definitively exclude the possibility

1 that the drug could cause a small increase in the
2 risk of stroke events in certain individuals.

3 This is somewhat of an unexpected finding in
4 light of the blood pressure differences observed in
5 the trial. Both systolic and diastolic blood
6 pressure were decreased in the empagliflozin group
7 compared to placebo, as shown on this slide. This
8 might be expected to reduce one's risk of a stroke
9 event.

10 The final topic that I will cover are the
11 non-cardiovascular safety findings from the
12 EMPA-REG OUTCOME trial. Specifically, I will
13 discuss the findings for events designated as
14 adverse events of special interest, and that are
15 not already in the product label.

16 Analysis of non-cardiovascular safety was
17 based on investigator-reported adverse events,
18 review of laboratory data, and safety endpoints
19 predefined by the applicant. The adverse reactions
20 listed in the right-hand column are serious drug-
21 related adverse reactions already featured in the
22 product label. My talk will not focus on these.

1 I will spend the next few minutes reviewing
2 the findings related to fractures, hepatic injury,
3 malignancies, and venous embolic and thromboembolic
4 events in the EMPA-REG study.

5 Overall, fracture events were balanced
6 between the treatment groups. However, an
7 increased risk in upper extremity fractures not
8 favoring empagliflozin was seen. A similar
9 observation was made in another SGLT2 inhibitor
10 program. While bone density was not assessed as
11 part of the study, the term osteoporosis was
12 reported more commonly in the empagliflozin group.

13 A signal for hepatic injury was identified
14 in the development program, and was to be followed
15 in the ongoing EMPA-REG study. A hepatic
16 adjudication committee was tasked with determining
17 whether events of hepatic injury were causally
18 related to study drug and could represent events of
19 drug-induced liver injury.

20 Events referred for adjudication included
21 serious hepatic events and certain events based on
22 laboratory test profiles. More events were

1 referred for adjudication with empagliflozin,
2 though nearly all events were adjudicated as
3 unlikely related to study drug.

4 A profile consistent with biochemical Hy's
5 law was reported more commonly with empagliflozin
6 than with placebo. However, alternative etiologies
7 more likely than drug-induced liver injury were
8 identified for these events. Overall, the findings
9 do not suggest that empagliflozin carries a
10 heightened risk for drug-induced liver injury.

11 The overall incidence of malignancy was
12 similar between treatment groups. The applicant
13 was asked to look at some selected types of cancers
14 as events of interest, which were in balance at the
15 interim assessment. The number of events for each
16 of these is presented here.

17 Note the 2 to 1 randomization. The
18 incidence for these malignancies of interest were
19 generally balanced between treatment groups. The
20 one cancer with a suggestion of increased risk was
21 pancreatic cancer. However, the total number of
22 events is small and confounding risk factors, such

1 as obesity, diabetes, or smoking were identified in
2 review of these cases.

3 While no imbalance for venous embolic and
4 thromboembolic events has been seen with
5 empagliflozin in the original NDA review, the
6 observed increase in hemoglobin and hematocrit in
7 the development program raised some concerns. The
8 assessment for these events was based on a review
9 of investigator-reported adverse events and
10 excluded stroke events. No increased risk of
11 venous embolic and thromboembolic events was seen.

12 In summary, the EMPA-REG OUTCOME trial
13 suggests that empagliflozin is both non-inferior
14 and superior to placebo on the 3-point MACE
15 endpoint. The results appear to be entirely driven
16 by the cardiovascular death component.

17 Empagliflozin was found to be non-inferior but not
18 superior for the 4-point MACE. The review of the
19 non-cardiovascular safety was generally reassuring.

20 There are certain important factors that
21 affect our interpretability of the study results
22 and the persuasiveness of the evidence generated by

1 the study. First, it is unclear whether this
2 single study is sufficient to support a new
3 efficacy claim. The EMPA-REG study was designed
4 primarily as a safety trial, and the p-value for
5 superiority for 3-point MACE was 0.04.

6 The handling of silent MI in this trial was
7 not optimal, and we would like to hear your opinion
8 on this aspect of the conduct as it relates to your
9 overall assessment of the evidence.

10 Analysis of 4-point MACE and the individual
11 components of the 3-point MACE do not provide
12 persuasive evidence that the effect of
13 empagliflozin is attributable to atherosclerotic
14 cardiovascular disease risk reduction.

15 The results of the study hinge on the
16 finding of cardiovascular death. While we
17 recognize that this is perhaps the most important
18 of all the endpoints in the composite, the high
19 proportion of non-assessable deaths in EMPA-REG
20 study may impact your interpretation of the
21 evidence.

22 With the limited information on these

1 deaths, it is difficult to know for certain whether
2 they were truly cardiovascular deaths, and what
3 mechanism might be driving the CV death result.

4 Thank you, and we look forward to your
5 discussion around these issues during this
6 afternoon's session.

7 **Clarifying Questions to FDA**

8 DR. SMITH: Thank you. What we're going to
9 do now is have again clarifying questions. We're
10 coming to discussion, but this is not the
11 discussion time. This is really for clarification.
12 We'll focus first directing questions to the FDA,
13 and then as time allows, and then later this
14 afternoon, we'll come back to our remaining
15 clarifying questions for the sponsor.

16 Dr. Hiatt, I think you had a question?

17 DR. HIATT: Yes. We didn't get a chance to
18 ask this in the sponsor's presentation, but you did
19 raise an issue about silent MI, so let me pursue
20 that a little bit further. In preparing for the
21 meeting, I sort of challenged myself to see how
22 that's handled in other cardiovascular outcome

1 trials.

2 In looking at a number of recent trials who
3 were testing more anti-thrombotic therapies, it's
4 typically included in the definition of myocardial
5 infarction. And the CDISC definition that's most
6 commonly used would not include it as an acute MI,
7 but a significant new Q-wave that occurs after
8 randomization would be considered to be a new MI of
9 clinical significance that would be adjudicated by
10 the CEC as a myocardial infarction.

11 So the question then comes up, how do these
12 events stack up? And if you look at again trials
13 where these are triggered as just part of the
14 clinical review and not sought after as a specific
15 case report form in a core lab, they're typically
16 few in number. They carry clinical significance.
17 And they typically track the primary endpoint's
18 response to therapy. But if not ascertained
19 properly, they can just add noise.

20 So the question here about ascertainment
21 bias is clearly relevant, and I think in this trial
22 went a little bit further in terms of the number of

1 the events that were captured. It was a little
2 higher than one would expect. So you take that
3 kind of information, and look at the MACE primary,
4 and then ask, well, had that stayed in the
5 definition, it changes the significance of the MACE
6 primary and makes it no longer significant, which
7 would kind of stop your thinking about superiority.

8 How do you view that? I mean, you put it
9 out as a question, but it seemed that the charter
10 was changed, and I didn't see if the FDA commented
11 on that. It wasn't clear why the CEC did that.
12 And so I'm just wondering what your thinking is
13 about how that changed the approvability around the
14 primary endpoint.

15 DR. GUETTIER: So as Dr. Lungu stated, the
16 silent MI were a topic of confusion in the actual
17 review of this application. And the final
18 definition that was used for analysis of silent MI
19 was purely ECG based, and that was something that
20 we had to clarify with the applicant.

21 What seemed to have happened in the trial is
22 that there was no real specification in the

1 protocol, other than for a secondary endpoint, that
2 silent MI would be looked at. Again, we don't
3 really have, as Dr. Lungu stated -- it was never a
4 topic of discussion between the division and us
5 with regards to inclusion of silent MI in the
6 primary endpoint, but it wasn't specifically or
7 explicitly excluded from the endpoint. The
8 protocol itself, as Dr. Lungu stated, suggested
9 that actually the applicant collected information
10 that could be used to analyze silent MI.

11 What was not clear to us is whether the CEC
12 and the CEC charter ever had knew what to do with
13 these events. They received some silent MI events
14 by investigators because the case report form
15 actually had a checkbox for silent MI. If the
16 checkbox was checked, it would make it to an
17 adjudication package, and an adjudication package
18 would be sent to the CEC members.

19 There was some confusion as to what to do
20 with these events based on our review of the
21 record, the CEC record. And again, it's not really
22 clear how that was resolved, but at some point,

1 before the interim, the applicant specifically
2 excluded silent MI from the primary endpoint.
3 Again, the CEC members and the applicant can best
4 speak to this, had very specific event that they
5 had to check off after they were done with their
6 adjudication.

7 Silent MI was not one of those events. If
8 they had received a silent MI as the outcome term,
9 they had to transform that into one of the MI
10 definitions, and that happened for some events.
11 And we asked that, for the applicant, the applicant
12 have these data. So there were some silent MI
13 events that were captured and sent to adjudication
14 and were reviewed.

15 Again, it wasn't really ever a point of
16 discussion between us and the applicant in the
17 negotiation for this trial. And the trial was
18 complex and we were dealing with other things,
19 including how to --

20 DR. HIATT: Just to briefly clarify. If
21 you're going to run a cardiovascular outcome trial
22 and you present the charter to the FDA, the sponsor

1 can decide whether silent MI is in the definition
2 or not. Because I've looked at other trials. It's
3 kind of variable. It's not 100 percent. And in
4 this case, it sounds like it wasn't a review issue.
5 Is that correct?

6 DR. GUETTIER: I mean, that's a topic for
7 discussion that we'd like your input on, whether or
8 not these types of events should be included in all
9 cardiovascular safety trial, and that's why we pose
10 the question to you today.

11 Again, we know, at least as a
12 division -- and we have our cardiology colleagues
13 here as well who can answer -- that they're
14 difficult to assess because some people have
15 baseline ECG abnormalities and so you're going to
16 get missing data in those patients. Unless the
17 trial pre-specifies and has specific procedures in
18 place to collect ECGs, then you might just get
19 random events.

20 Then the CEC charter has to have a
21 definition or else the CEC members are at a loss
22 and will code events to something else if they can

1 find something or will just throw out these events.
2 That's maybe something the applicant can clarify.

3 DR. SMITH: So, Dr. Hiatt, did you -- to
4 expand on your question, it would be helpful for
5 you to ask for any more clarification at this point
6 from the sponsor or should we move on with the FDA
7 clarifications?

8 DR. HIATT: I think that answers it. It's a
9 discussion point for later, but I'd rather not
10 prolong that now.

11 DR. SMITH: Okay. Dr. Heckbert?

12 DR. HECKBERT: Yes, Susan Heckbert. My
13 question was really on the same topic, on silent
14 MIs. And just as a point of clarification, on
15 slide 7 and 8 of Dr. Lungu's presentation, where
16 she's talking about silent MI, she says that in the
17 data that were available on silent MI, which was
18 not complete and was perhaps suffering from a
19 variety of different limitations, you indicated
20 that only about half of patients could be analyzed
21 for silent MI due to baseline ECG abnormalities,
22 absence of post-baseline evaluation, or intervening

1 ECG changes.

2 I just wondered, with the data that were
3 available, two questions. Were they read finally
4 by a central ECG reading center? There was a
5 mention that one was retained early on, but I
6 wasn't sure whether these -- what data we do have
7 were read by a central center, whether that was
8 subject to individual people at each center.

9 Then the second question was, what
10 proportion of the -- so half the patients had ECG
11 data and half of them didn't. What proportion of
12 those was it missing because of an absence of
13 post-baseline evaluation versus pre-existing ECG
14 abnormalities or intervening ECG changes?

15 DR. LUNGU: To answer the first question,
16 they were read by the central ECG vendor. For the
17 second question, I think we have this information
18 in a slide. Let me look and I'll get back to you.

19 DR. HICKS: Good morning. My name is Karen
20 Hicks. I'm a medical officer in the Division of
21 Cardiovascular and Renal Products, and I'm the
22 cardiology consultant to the Division of Metabolism

1 and Endocrinology Products on the review of this
2 application.

3 Dr. Hiatt, you're correct. There are
4 arguments for and against including silent
5 MI -- can you hear me now? There are arguments for
6 and against including silent MI in overall
7 adjudication of non-fatal MIs in cardiovascular
8 outcome trials. There is thought to be a
9 prognostic significance, especially in diabetic
10 patients. And for the most part, silent MIs may
11 comprise 9 to 37 percent of non-fatal MIs in a
12 particular clinical trial, and it's thought that
13 there is a worse overall prognosis.

14 LaToya, if I could please go to background
15 slide number 8 to further address the ascertainment
16 issues. So, EMPA-REG used an algorithm for silent
17 MI that likely did not identify all potential
18 events. They excluded patients with right bundles,
19 left bundles, look, there are ways to evaluate
20 Q-wave myocardial infarctions even in those
21 settings.

22 I reviewed some of the silent MI data,

1 didn't have to go very far to figure out that the
2 time-to-event data were completely unreliable. We
3 were told, and we queried this many, many times,
4 and we were told that silent MI was not adjudicated
5 in this trial. And that's not completely true
6 because just last week, we found out that some
7 silent MI was adjudicated.

8 So here's what happened in the trial, as I
9 understand it, and perhaps the applicant can
10 clarify things a little bit further. So silent MI
11 was included as a definition in every single
12 protocol. And in the CEC charters, silent MI was
13 not explicitly excluded. And in fact, it was
14 listed as one of the trigger terms to collect
15 events. So when they were scouring through the
16 safety database, they were picking up these events.

17 My understanding, based on the response we
18 received just last week, is that the silent MIs
19 that were being identified by the investigators as
20 a trigger term -- that those events went to the CEC
21 for adjudication.

22 In most cases, they were adjudicated as MIs

1 due to PCI, MIs due to CABG, some of them weren't
2 adjudicated as events at all. Some were thought to
3 be a heart failure event. And my understanding
4 is -- but we should check with the
5 applicant -- that those MIs were included in the
6 overall primary endpoint results.

7 The other way that silent MIs were
8 identified was through the electrocardiographic
9 core laboratory. And what should have happened in
10 this trial but didn't, is that if the core lab had
11 identified a Q-wave MI, that an adjudication
12 package should have been put together, everything
13 should have gone to the CEC for evaluation, and
14 then things would be good.

15 But what happened was the EKG core lab, all
16 they did was send the EKG back to the investigator
17 for sign off, and I don't think that investigator
18 had all the 12-lead electrocardiograms in front of
19 him or her. And so that's why the time to event
20 data were not reliable.

21 So in summary, the trial used an algorithm
22 that didn't likely capture all potential events.

1 The time-to-event data were unreliable. There was
2 reportedly no oversight by the CEC of these events.
3 Again, I think prior to study initiation, there
4 really needs to be a discussion is silent MI in or
5 is it not in, so that if it is in, then it needs to
6 be adjudicated.

7 CECs do things a little bit differently, but
8 some CECs, if it's identified by a core lab, will
9 have at least one CEC member adjudicate it. If
10 it's something that's identified by the
11 investigator, they'll have two members of the CEC
12 evaluate it. And lastly, a number of patients
13 lacked baseline 12-lead electrocardiograms.

14 So in summary, it's unlikely that any four
15 of these factors led to differential ascertainment.
16 Silent MI was really not well handled at all. And
17 I know at least some of the silent MI findings are
18 unreliable. It's not like we'd want any of that in
19 the primary endpoint anyway. And I hope that
20 answers everyone's questions.

21 DR. HIATT: So just to understand, all the
22 points you raised were pretty clear in reading the

1 material, so the lean goes in the wrong direction.
2 Numerically, it's unfavorable. But it sounds like
3 your interpretation is that's most likely a random
4 result because if you include those kinds of
5 events, it's most likely random events, so it can
6 go either way. It doesn't really mean anything.

7 DR. HICKS: Yes. I have to say that we were
8 concerned about the exclusion of silent MI from the
9 primary endpoint in amendment 3. The timing of all
10 of that and the timing of other things that
11 happened in the trial after the CEC became
12 involved -- any time we see changes, there are red
13 flags that go up. And unless things are really
14 well documented about why there are changes, we
15 have to be concerned about other things. Thank
16 you.

17 DR. SMITH: So would the sponsor want to
18 make any additional -- I'm really just looking for
19 clarification, not just carrying on.

20 DR. WOERLE: Hans-Juergen Woerle. We have
21 to say, we added to the confusion around the topic
22 silent MI with the following thing we did. We

1 captured ECG pathological Q-waves, single ECG
2 pathological Q-waves and flagged those as silent MI
3 and reported those cases as silent MI.

4 In hindsight, that term should not have been
5 used because these were single ECG measurements
6 where we have no confirmation. We have very little
7 information on the clinical relevance of these
8 findings. But we added this to the statistical
9 protocol as a term, the single ECG finding as a
10 silent MI.

11 That was a secondary endpoint to clarify
12 that what we had defined in the protocol is
13 actually not part of the primary endpoint because
14 it's not an adjudicated event. It's a single ECG
15 finding. We clarified this in a protocol amendment
16 prior to the interim analysis. But it was very
17 clear, when you look at the adjudication charter,
18 that there was no qualification for such events to
19 be made to the CEC member.

20 Now, Jim Januzzi adjudicated these events,
21 and he can add some clarification, which may help
22 to shed some light on this.

1 DR. JANUZZI: Thank you very much for the
2 opportunity to speak on this. This is obviously an
3 important topic, as Dr. Lungu pointed out in a very
4 nice presentation. Silent myocardial infarction is
5 an important topic. Dr. Hiatt, you made this clear
6 as well. And as Dr. Hicks said, there are
7 substantial challenges to recognition and actually
8 how to adjudicate these in clinical trials.

9 So while it is clinically relevant, it's
10 challenging to recognize and adjudicate. And
11 relevant to this, the current guidance from
12 ACC/AHA, Dr. Hicks was an author, is actually well
13 silent to the definition of silent MI in clinical
14 trials' definitions.

15 When considered as an endpoint in clinical
16 trials, silent MI, the standard approach has
17 traditionally relied, as has been said, on a
18 baseline electrocardiogram, then showing a change,
19 which is then stable on subsequent measurements.
20 So it requires serial ECG measurement in order to
21 demonstrate stability of the finding.

22 This in part has to do with the fact that

1 the changes consistent with MI are evanescent.
2 They may be non-specific and may not be stable over
3 time. Therefore, single tracing should not, by any
4 means, be used to make the conclusive diagnosis of
5 a myocardial infarction in the absence of clinical
6 signs, symptoms or other data. As a consequence,
7 most CVOTs do not include silent MI.

8 May I have slide CV-243, please? That would
9 be slide 1 up. Just an example of the current
10 cardiovascular outcomes trials looking in diabetes
11 since 2008 as well as some of the older, just
12 illustrating the heterogeneity with respect to
13 inclusion or not of silent myocardial infarction.

14 Now, relevant to EMPA-REG -- and this slide
15 can be taken down, thank you. Relevant to EMPA-REG
16 OUTCOME the term silent MI, as Dr. Woerle just
17 said, is somewhat inaccurate, actually, and
18 somewhat of an unfortunate term that was used.

19 From the CEC's point of view -- and I'd
20 emphasize that if an investigator felt a patient
21 had suffered a myocardial infarction, silent or
22 otherwise, they could send the information to the

1 CEC for adjudication. So, in point of fact, if
2 triggered, MI would have been evaluated for on our
3 level and the CEC, so silent MI technically was not
4 excluded from consideration.

5 What was triggered here were
6 electrocardiograms that were flagged as showing a
7 possibly new abnormality. And in these 53 tracings
8 that Dr. Lungu analyzed in her sensitivity
9 analysis, of those 53 flagged
10 electrocardiograms -- I choose that terminology
11 rather than calling them silent MI -- the site was
12 actually queried by the central ECG lab, core lab,
13 reviewed by the investigator and their
14 cardiovascular consultant.

15 Only 3 were sent to the clinical endpoints
16 adjudication committee for review. Of those
17 patients, only 1 was adjudicated as having
18 hospitalized heart failure, and none were
19 adjudicated as having a myocardial infarction.

20 One last point I think that would be helpful
21 for reassurance -- may I have slide 1 up,
22 please -- is relevant to the outcomes of the

1 patients that had these flagged ECGs, these 53
2 patients. We see, on the top line, the patients
3 that suffered subsequent mortality and then
4 adjudicated cardiovascular death. The flagged ECGs
5 are in the right column. We see a substantially
6 lower mortality rate overall compared to patients
7 with adjudicated non-fatal MI using standard
8 criteria.

9 Of the CV deaths, there were two, one was
10 sudden cardiac death and the other was death due to
11 pulmonary thromboembolism. So at least this
12 provides some information on the CEC level at least
13 relative to these specific patients, more specific
14 data from the sponsor. I'll defer.

15 DR. SMITH: All right. Dr. Konstam, do you
16 have a follow-up question on the same topic?

17 DR. KONSTAM: Yes, I do. So, Jim, just to
18 kind of nail down a couple of things. Okay. The
19 ones that you did adjudicate that were sent in from
20 the investigators as silent MIs, what happened to
21 those patients? Are they in the final results or
22 not?

1 DR. JANUZZI: So they were as you saw in
2 that last slide I showed. So yes, in fact, if a
3 patient was sent in with a trigger term, silent MI,
4 we would look at the event that the investigator
5 was triggering. And as I said, and as Dr. Hicks
6 indicated, in some cases they were MIs related to
7 PCI, they were CABG MIs. So they were considered,
8 sure.

9 DR. KONSTAM: So if you considered them MIs,
10 they were counted as MIs.

11 DR. JANUZZI: Yes, exactly.

12 DR. KONSTAM: Okay. So this relates to my
13 next question. So let me just say, I can go either
14 way with inclusion or exclusion. What's more
15 concerning is changes that occur from prespecified
16 terms, and in practice during the course of the
17 trial. So at some point in time -- we hear it was
18 before the interim analysis -- the sponsor
19 determined that silent MIs would not be included.

20 Now, I want to understand a few things about
21 that. Why did you do that at that point in time?
22 Who participated in that decision? And how did you

1 deal with the fact that they had already included
2 silent MIs, as long as they came from the
3 investigator?

4 DR. WOERLE: To clarify, we used an
5 unfortunate term. What we wanted to clarify in the
6 protocol that single ECG abnormalities, not being
7 confirmed by the adjudication committee as an MI,
8 should not be included. When you look -- and I
9 would need Jim for confirmation here -- when you
10 look at the CEC charter, the initial CEC charter
11 did not have the term silent MI, but all pathologic
12 clinically relevant MIs were included in the
13 analysis. But please, Jim, would you comment?

14 DR. JANUZZI: I can keep it simple on the
15 answer. Any case that was sent to us that looked
16 like an MI was adjudicated as an MI, and was
17 therefore included.

18 DR. KONSTAM: Even if the investigator
19 indicated silent MI?

20 DR. JANUZZI: That's correct. And that
21 speaks, to some extent, to the investigator at the
22 sites accuracy for the event itself.

1 DR. KONSTAM: What is it that made you make
2 that decision at that point in time? Was it based
3 on what?

4 DR. WOERLE: Can we have the slide on the
5 initial protocol? Slide 1 up, please? That's the
6 definition of the initial protocol. It states
7 primary event comprises adjudicated composite of CV
8 death, non-fatal MI, and non-fatal stroke. To the
9 adjudication committee, any potential trigger which
10 would qualify for these endpoints had been sent.

11 Now, from this protocol amendment, from this
12 protocol, it's not entirely clear whether silent MI
13 is included or not. And type 4 and type 5 MIs,
14 which can be considered as MI, are actually
15 included. Now, to clarify this, we have to have a
16 look at the CEC charter.

17 Slide 3 up, please. That's an extract of
18 the CEC charter. And here in the CEC charter, the
19 adjudication committee has the opportunity to
20 potentially trigger the following events,
21 hard-outcome events. And as you can see, the term
22 silent MI, what we defined as a single ECG

1 abnormality which was not confirmed by subsequent
2 ECG and additional information, which then had been
3 adjudicated as an MI, are not mentioned here.

4 To clarify this, we in the first protocol
5 amendment, made the clarification that silent MI
6 are excluded from the primary endpoint. It would
7 have been hindsight, much clearer to state --

8 DR. KONSTAM: But not silent MIs that were
9 identified by the investigator? Is that what you
10 said in the amendment? Did the amendment say
11 silent MIs would be excluded unless they are
12 identified by the investigator and sent into the
13 adjudicating committee?

14 DR. WOERLE: Dr. Broedl, would you like to
15 comment?

16 DR. BROEDL: Uli Broedl, Medicine. So to
17 address your question, what did we state in the
18 protocol, we unfortunately stated simply excluding
19 silent MI. But I want to make it very clear -- and
20 I would like to have the process slide -- how the
21 adjudication went. What Dr. Lungu nicely presented
22 was what happens if you add 53 flagged ECGs, which,

1 as Dr. Hicks pointed out, mixed with the primary
2 endpoint.

3 What happened in terms of silent MI analysis
4 is the following. Can I please have the silent MI
5 assessment? If an investigator considered
6 something like a silent MI as an adverse event,
7 this adverse event had to be entered into remote
8 data capturing system.

9 The vendor then assessed whether the term
10 that was reported matches a trigger list of terms
11 and be used on the SMQ, ischemic heart disease. We
12 used cardiac failure, and we used Torsade de
13 points. SMQ, the SMQ ischemic heart disease,
14 included the trigger term, silent MI.

15 However, the CEC charter, which you can find
16 in our briefing book, clearly specified for type 1
17 and type 2 MI, which were by far the most frequent
18 MIs in this trial, that patients have to have
19 symptoms, in addition to one of the following
20 criteria, including biomarker, ECG changes, or
21 imaging, and this is depicted in slide 1. Up,
22 please.

1 So in essence, the vendor checked whether a
2 trigger term was reported by the investigator. The
3 trigger terms included, for instance angina
4 pectoris, but also silent MI. The CEC panel, based
5 on the criteria that you can find and that I
6 alluded to, assessed whether this is enough
7 evidence of a cardiac event in a clinical setting,
8 but only had the opportunity to report those
9 non-fatal cardiac events that are listed here,
10 non-fatal MI, hospitalization for unstable angina,
11 coronary revascularization, stent thrombosis, and
12 heart failure hospitalization. The panel did not
13 have the opportunity to specifically vote for
14 silent MI.

15 DR. HICKS: May I clarify?

16 DR. SMITH: Yes.

17 DR. HICKS: So, in response to Dr. Januzzi's
18 comments, I just wanted to clarify that we actually
19 do have a definition for silent MI. It's called
20 prior myocardial infarction. And the
21 electrocardiographic criteria for prior MI are
22 exactly the same as silent MI. And this is not

1 only in our data standards paper. It's also in our
2 draft definitions dated August 2014.

3 By the way, these definitions are in each
4 CEC charter. And there were a lot of charters and
5 a lot of changes in the charters that went on
6 during the course of the study. Thank you.

7 DR. SMITH: Thanks. I'm going to move us
8 along. We may return to this point later on.

9 Dr. Schambelan, you had a question?

10 DR. SCHAMBELAN: My question is long.

11 DR. SMITH: Dr. Neaton?

12 DR. NEATON: My question is simpler. So in
13 the FDA briefing document, on page 75, where
14 there's a description of some of the missing data,
15 there's reference to 74 subjects with positive
16 MACE, non-fatal events that could not be assessed
17 by the clinical events committee. Could you shed
18 some light on what you're referring to there and
19 the significance of that?

20 DR. GUETTIER: That's Dr. Hicks's --

21 DR. NEATON: I believe that was Dr. Hicks's
22 document, yes.

1 DR. HICKS: Yes, thank you. Actually, that
2 was another information request we had made to the
3 applicant, and they had prepared that data. So
4 there were, in addition to the 200 or so patients
5 who were missing MACE for a period of time, there
6 were also approximately 70 patients who had
7 potential MACE events. Not all of them, but some
8 of those 70 had potential MACE events and Jennifer
9 Clark has done a number of sensitivity analyses
10 looking at that.

11 DR. NEATON: When you say a potential MACE
12 event, there was some information, documentation
13 provided that they were ruled out as a MACE event?

14 DR. HICKS: No. Actually, the CEC was
15 unable to adjudicate these particular cases. So
16 they were potential events, but because the data
17 were incomplete, they couldn't finalize the
18 adjudication diagnosis.

19 DR. SMITH: What I would like to ask -- there
20 are more questions. If there are any committee
21 members that have questions that may lead to some
22 preparation of some data that might be brought to

1 us later this afternoon, I'd like to prioritize
2 that. Anybody have a question in that regard? Dr.
3 Wilson?

4 DR. WILSON: So I believe in the product
5 label, it says contraindication for an eGFR less
6 than 45. And I'm not able, from the materials I've
7 heard so far, to figure out what happened to those
8 people and how many people were in that group in
9 the trials.

10 There's a creatinine doubling and eGFR less
11 than 45, but in fact the label says eGFR less than
12 45, you're not to prescribe the drug. So I think
13 that would be worth knowing, that safety data, and
14 whether it affects -- and what happened to those
15 people? Did they stop using the drug?

16 DR. SMITH: Yes. Do you have the data now
17 or would you like to pull that together for this
18 afternoon?

19 DR. WOERLE: We can put this up for you.
20 Let's remember, the trial was started, part of the
21 phase 3 program, when it was not clear what
22 limitation in terms of renal function we would see.

1 We specifically looked into patients who have eGFR
2 less than 60 but also less than 45. And my
3 colleague from drug safety, Gabriel Kim, looked
4 into this and will walk you through what we saw.

5 DR. KIM: Gabriel Kim, Boehringer Ingelheim.
6 We have specifically analyzed patients with CKD
7 stage 3b. Overall, in terms of the general safety
8 findings, it was consistent what we observed for
9 the overall population. In addition to this, we
10 also looked at the MACE endpoint and cardiovascular
11 endpoints, which also suggested a similar effect
12 within this population.

13 Finally, in terms of a renal endpoint that
14 we looked at, there was also shown a similar effect
15 in terms of renal safety and endpoints.

16 DR. WILSON: Just as a follow-up, the people
17 continued taking medication whether -- they weren't
18 pulled out. They weren't censored? Just so I
19 know.

20 DR. KIM: So, to answer your question, they
21 were not instructed to discontinue medication once
22 they have reached a CKD 3b eGFR category.

1 DR. SMITH: Thanks. We do have some more
2 questions. I apologize to people who haven't been
3 able to ask their questions. We will come back to
4 those, but by the clock we really need to break for
5 lunch at this point.

6 So, we're going to take a lunch break.
7 We're going to come back here, we're starting a
8 little late, so we'll come back at 10 minutes past
9 1:00. And please take any personal belongings you
10 may want with you at this time. Committee members,
11 please remember there should be no discussion of
12 the meeting during lunch, amongst yourselves, with
13 the press, or with any member of the audience.
14 Thank you.

15 (Whereupon, at 12:20 p.m., a lunch recess
16 was taken.)
17
18
19
20
21
22

A F T E R N O O N S E S S I O N

(1:22 p.m.)

Open Public Hearing

DR. SMITH: I encourage people to take their seats so we can get started here. We're still missing a few and we'll wait a minute or two. Okay. I think we'll start the afternoon part of today's work.

Both the Food and Drug Administration, the FDA, and the public believe in a transparent process for information gathering and decision making. To ensure such transparency at the open public hearing session, which is what we're going to have scheduled next, the FDA believes that it's important to understand the context of an individual's presentation.

For this reason, FDA encourages you, the open public hearing speaker, at the beginning of your written or oral statement, to advise the committee of any financial relationship that you may have with the sponsor, its product, and if known, its direct competitors. For example, this

1 financial information may include the sponsor's
2 payment of your travel, lodging, or other expenses
3 in connection with your attendance at the meeting.

4 Likewise, FDA encourages you, at the
5 beginning of your statement, to advise the
6 committee if you do not have any such financial
7 relationships. If you choose not to address this
8 issue of financial relationships at the beginning
9 of your statement, it will not preclude you from
10 speaking.

11 The FDA and this committee place great
12 importance in the open public hearing process. The
13 insights and comments provided can help the agency
14 and this committee in their consideration of the
15 issues before them.

16 That said, in many instances and for many
17 topics, there will be a variety of opinions. One
18 of our goals today is for this open public hearing
19 to be conducted in a fair and open way, where every
20 participant is listened to carefully and treated
21 with dignity and courtesy. Therefore, please speak
22 only when recognized by the chairperson. Thanks

1 for your cooperation.

2 At this point, will speaker number 1 please
3 step up to the podium and introduce yourself?
4 Please state your name and any organization you are
5 representing for the record.

6 DR. GRUNBERGER: Thank you, Mr. Chairman.
7 It's always fun to be the first speaker after
8 lunch. I'm George Grunberger, and I represent the
9 American Association of Clinical Endocrinologists.
10 The sponsor played no role in financing my trip
11 here.

12 The American Association of Clinical
13 Endocrinologists is the largest organization of
14 clinical endocrinologists in the world, which
15 comprises over 7,000 members from 97 countries.
16 The mission statement of AACE is that it's a
17 professional community of physicians specializing
18 in endocrinology, diabetes, and metabolism,
19 committed to enhancing the ability of its members
20 to provide the highest quality of patient care.

21 I don't have to emphasize to this audience
22 the burdens of type 2 diabetes, whether financial

1 or in terms of suffering, the complications. As we
2 know, even today, it remains the leading cause of
3 blindness in adults, leading cause of kidney
4 failure, the leading cause of lower limb
5 amputations. Relevant to this meeting, the
6 patients with diabetes are much more likely to have
7 hypertension, cardiovascular disease, stroke, and
8 other complications.

9 We know that diabetes doubles the risk of
10 vascular events, whether it's coronary heart
11 disease, cerebrovascular disease, cardiovascular
12 deaths. We know that diabetes confers the highest
13 lifetime risk of coronary heart disease of any
14 single risk factor. And we've seen that diabetes
15 is associated with significant loss of life years,
16 and the vascular deaths contribute the majority of
17 this life lost.

18 We are here because the 2008 FDA guidance
19 for diabetes drugs was designed to assure
20 cardiovascular safety. It was not designed to
21 demonstrate benefit. So all trials we have
22 reported out to date show that safety until we have

1 EMPA-REG OUTCOME, which sort of is the reason we
2 are here for today.

3 We've seen that in the primary outcome, the
4 3-point MACE, there was a significant 14 percent
5 reduction of 3-point MACE, which was driven largely
6 by the cardiovascular death with its 38 percent
7 reduction. And also hospitalization for heart
8 failure was significantly improved in patients on
9 empagliflozin. To make it more interesting, only
10 one of this 3-point MACE was significantly
11 affected. It was a cardiovascular death with no
12 significant improvement for patients on
13 empagliflozin when it came to non-fatal MI or
14 stroke.

15 So every day, after September 17, 2015, I've
16 been asked three questions. Is this is a class
17 effect? Should all patients with type 2 diabetes
18 be put on SGLT2 inhibitor or empagliflozin
19 specifically? And when will AACE, in view of a
20 positive important outcome in our leader results,
21 change its diabetes algorithm?

22 Well, let me start with the last one.

1 That's easy. AACE does not have to change
2 anything. GLP-1 receptor agonists and SGLT2
3 inhibitors have already been the top two choices in
4 our diabetes algorithm initially or right after
5 metformin for the last three years.

6 This is a 2016 version of AACE comprehensive
7 diabetes algorithm, which you can see whether for
8 monotherapy, dual therapy, or triple therapy, GLP
9 receptor agonist and SGLT2 inhibitors have been
10 chosen as the two leading categories in trying to
11 control glycemia in patient type 2 diabetes.

12 We do have obviously very strict
13 recommendations when it comes to management of
14 dyslipidemia and hypertension also for patients
15 with type 2 diabetes because we believe every
16 patient with this condition is at high and very
17 high risk for cardiovascular complications.

18 If you look on the next slide and the 2016
19 diabetes algorithm, they already included when we
20 talked about the profiles in diabetic medications a
21 possible benefit for SGLT2 inhibitors for
22 congestive heart failure, but we believe there is

1 no effect on progression of atherosclerotic
2 cardiovascular disease. So we recognize possible
3 outcomes from the EMPA-REG study.

4 So is this a class effect? Well, who knows?
5 As you know, the canagliflozin, empagliflozin
6 studies will not be out for the next couple of
7 years. And they have recruited somewhat different
8 patient populations, so it might be difficult to
9 compare those results. Again, remember that the
10 key inclusion criteria for EMPA-REG study included
11 not only long-term uncontrolled type 2 diabetes,
12 but established cardiovascular disease.

13 So should every patient with type 2 diabetes
14 today be placed on empagliflozin or at least a
15 SGLT2 inhibitor? We believe that would be
16 premature because we have only one study and only
17 one component of the primary endpoint, which was
18 significantly positive.

19 So at this point we can recommend that only
20 patients who fit the inclusion criteria of EMPA-REG
21 OUTCOME should be considered, and for others let's
22 wait for results of studies and real-world

1 postmarketing data in patients with type 2 diabetes
2 who did not meet the specific inclusion criteria
3 for EMPA-REG OUTCOME study.

4 So in closing, the American Association of
5 Clinical Endocrinologists does not advocate for
6 approval or indication for any specific drug.
7 However, we recognize there is a great need for new
8 drugs to help manage the ever-increasing burden of
9 type 2 diabetes. And we certainly need more
10 effective and safer medications to improve
11 metabolic control of our patients without the risk
12 of hypoglycemic weight gain, and now hopefully with
13 cardiovascular benefit. Thank you.

14 DR. SMITH: Thank you. Will speaker
15 number 2 now please step up to the podium and
16 introduce yourself? Please state your name and any
17 organization you may be representing for the
18 record.

19 MS. GAO: Good afternoon. My name is
20 Helen Gao, and I'm here today representing the
21 diaTribe Foundation, a diabetes patient advocacy
22 non-profit based out of San Francisco. Donors to

1 the diaTribe Foundation include the Helmsley
2 Charitable Trust and many others, including today's
3 sponsor. By way of disclosures, I also work for
4 Close Concerns, a healthcare information company
5 focused on diabetes and obesity. My colleague,
6 Emily Reiger, will review Close Concerns'
7 disclosures later this afternoon.

8 Today, I'd like to speak about an update
9 indication reflecting a cardiovascular benefit for
10 JARDIANCE in high-risk patients and what that could
11 mean for patients and physicians. I was at the
12 presentation of the full results from EMPA-REG
13 OUTCOME at EASD last September, and vividly
14 remember the air of excitement and wonder as a risk
15 reduction for each endpoint was revealed to
16 thunderous applause. It was truly a historic
17 moment.

18 Coming out of the session, I was surprised
19 and disappointed to see that the results weren't
20 front page news in the mainstream press. I
21 wondered how the average patient or the average
22 primary care physician, who has so many demands

1 beyond diabetes, will learn of these unprecedented
2 results.

3 An updated indication clearly stating that
4 JARDIANCE can reduce mortality in high-risk
5 patients through the reduction of cardiovascular
6 death and heart failure would go far in publicizing
7 with historic and clinically meaningful benefit. A
8 38 percent relative risk reduction in
9 cardiovascular death is huge, and patients and
10 healthcare professionals need to know about it.

11 Furthermore, many patients with type 2
12 diabetes might not even fully understand the link
13 between diabetes and increased cardiovascular risk.
14 If you put clear language about cardiovascular
15 outcomes on the label of a diabetes drug, you
16 increase the chances of a conversation about that
17 risk between doctors and patients.

18 For the physicians and patients who are
19 already aware of that cardiovascular risk but have
20 not been able to mitigate it as trial after trial
21 showed little impact on cardiovascular outcomes,
22 JARDIANCE offers a new hope. It could mean a

1 longer, healthier life with less time spent in
2 hospitals.

3 That's a big win for patients, and a big win
4 for the morale of healthcare professionals. For
5 too long, those in the diabetes field have felt, in
6 the words of the musical Hamilton, outgunned,
7 outmanned, outnumbered, and out-planned. I
8 sincerely hope that the committee will vote today
9 in favor of letting healthcare professionals and
10 patients know that they have one more tool in the
11 fight against diabetes. Thank you.

12 DR. SMITH: Thank you. Will speaker
13 number 3 now please step up to the podium and
14 introduce yourself? Please state your name and any
15 organization you are representing for the record.

16 MS. REIGER: Good afternoon, and thank you
17 for the opportunity to speak today. My name is
18 Emily Reiger and I am here representing Close
19 Concerns, a healthcare information company that
20 aims to improve patient outcomes by making people
21 smarter about diabetes and obesity. As far as
22 disclosures go, today's sponsor is one of almost

1 300 organizations that subscribe to our fee-based
2 newsletter, Closer Look.

3 Today, I'd like to take a step back and
4 offer a few big picture thoughts on what JARDIANCE
5 and especially the benefits demonstrated in
6 EMPA-REG OUTCOME mean for patients with diabetes
7 and their healthcare providers.

8 Thanks to the FDA's recommendation that
9 these major outcomes trials be done, we now have
10 valuable information on JARDIANCE that can help
11 healthcare providers as they choose among the many
12 different options for patients with type 2
13 diabetes. We know this trial required a
14 substantial investment of time and money, and we
15 want to ensure that the diabetes community can gain
16 as much value as possible from that investment.

17 People with diabetes want to live long and
18 they want to live well. Few people expected that
19 findings of lower cardiovascular risk would emerge
20 so clearly in this trial, even if for only a small
21 group of patients. Given the staggering prevalence
22 and cost of diabetes and its complications, a

1 product that reduces the risk of a negative outcome
2 for even a small percentage of patients could have
3 a significant impact on the healthcare system.

4 We understand that any label update will
5 need to include statements about the limitations of
6 the data and how much it can be generalized. And
7 we'll leave it to the experts to debate the more
8 technical questions related to trial design.

9 However, we think it's important for today's
10 busy healthcare providers to have the opportunity
11 speak broadly with patients at high risk of
12 cardiovascular disease about the potential benefits
13 of this medication.

14 We would love to see increased awareness
15 among people with diabetes about the risks of
16 cardiovascular disease, and we think that including
17 this data on the label can be an important
18 component of that effort. So thank you again for
19 the opportunity to speak and for your consideration
20 of these matters.

21 **Clarifying Questions (continued)**

22 DR. SMITH: Thank you. The open public

1 hearing portion of this meeting has now concluded
2 and we will no longer take comments from the
3 audience. And what we're going to do first is to
4 resume the opportunity to ask any more clarifying
5 questions from the committee. And before that, the
6 sponsor has asked if they could briefly provide
7 some additional clarifying points on questions that
8 were raised this morning. So we'll go to that step
9 now.

10 Dr. Woerle?

11 DR. WOERLE: More patients, and we weren't
12 sure if we delivered you the right answer. These
13 74 non-fatal adjudicated events, which were not
14 assessable, these patients were included in the
15 analysis, but the events were not counted as
16 outcome events. I hope this helps to clarify this
17 point.

18 The second point, we wanted to make clear on
19 the topic of silent MI. We conducted routine ECG
20 measurements and this should be seen as casting a
21 net most sensitive to get as many information as
22 possible. However, only events who have been

1 raised by the investigator have been reported to
2 the adjudication committee. And of course all
3 events who have been reported to the adjudication
4 committee have been adjudicated as outcome events
5 or not.

6 The third point I wanted to make on presumed
7 cardiovascular death. While the number appears
8 somewhat high, I wanted to remind the committee
9 that in a patient population with established
10 cardiovascular disease where you can assume that
11 this was not a non-cardiovascular event, it is
12 reasonable to conclude that these patients should
13 be included as presumed cardiovascular death and
14 actually that this is standard in most of the
15 cardiovascular outcome trials.

16 DR. SMITH: Okay. Thank you. So, back to
17 questions. And I'm going to start with people who
18 got left out earlier for schedule reasons. So,
19 Dr. Everett, we'll lead off with you.

20 DR. EVERETT: Thank you. Actually, my
21 question pertains to the last comment that the
22 sponsor just made, and also maybe applies to

1 something that the FDA mentioned earlier. In
2 particular, I think this idea that the patients who
3 had a presumed and non-assessable death, that was
4 then presumed to be cardiovascular in etiology, I
5 tend to agree with you that it might be a
6 reasonable presumption that those are
7 cardiovascular deaths.

8 So my question to the sponsor is, why were
9 those deaths not included in the primary endpoint
10 as initially specified, either in the protocol or,
11 as I understand it, until the statistical analysis
12 plan was modified on May 21, 2015, after the trial
13 was closed, last patient, last visit was completed?

14 DR. WOERLE: Please let me clarify. In the
15 adjudication charter issued in 2010, it was already
16 clearly stated that presumed cardiovascular death
17 events would be counted as part of the primary
18 endpoint.

19 DR. EVERETT: So where's the confusion that
20 this was not part of the primary endpoint until
21 2015 then? Or sorry, yes, May 21, 2015. Why does
22 there seem to be a discrepancy about that?

1 DR. WOERLE: I'm not entirely clear where
2 this confusion comes from. I can show you the
3 adjudication charter where it clearly states that
4 presumed cardiovascular death would be part of the
5 primary endpoint.

6 DR. EVERETT: Is it not in the statistical
7 analysis plan? I mean, that's what the FDA's
8 documentation would appear to say. And the reason
9 why I mention this, of course, is because when you
10 remove them from the primary endpoint, there are
11 changes in the level of significance as seen on one
12 of the FDA slides, which I can find for you here in
13 a moment. Slide number 12.

14 DR. WOERLE: So allow me to clarify. From
15 the very beginning the CEC charter -- and we have
16 documented this -- in the initial version of the
17 CEC charter stated that CV death includes presumed
18 CV death. That was in 2010. This was added then
19 in the TSOP for clarification to align with the CEC
20 charter. But it was always the intention of the
21 sponsor, at any point in time, to include presumed
22 CV death.

1 DR. EVERETT: Does that answer the FDA's
2 concerns about this issue, about how the presumed
3 cardiovascular deaths were not included and then
4 were included?

5 DR. GUETTIER: So we are in agreement with
6 the sponsor that, in the CEC charter, the CEC
7 charter from the beginning, at least the nine
8 versions that we've reviewed, stated that
9 non-assessable deaths would be counted as CV
10 deaths. I believe the CEC form that was used for
11 adjudication included CV death as a criteria for
12 deaths.

13 The statistical analysis plan change was a
14 specification that it would not be included, and
15 that was done late. But we have no basis for
16 thinking that whatever happened in the CEC charter
17 wasn't going to be followed. I mean, at least
18 that's the division's perspective. There might be
19 some difference of opinion within the agency.

20 DR. HICKS: Thank you. This is Karen Hicks.
21 I'm a medical officer in the Division of
22 Cardiovascular and Renal Products, and I'm the

1 cardiology consultant to the Division of Metabolism
2 and Endocrinology Products for this application.

3 I can't believe I'm actually helping the
4 applicant here, but the CEC charter actually did
5 have a line in one of the first paragraphs. And so
6 I can attest to that. But I'd like to carry this a
7 little bit further because it was never
8 prespecified in any of the protocols. And this
9 change right before the end of the trial
10 unblinding, it was just kind of strange to see in
11 the statistical analysis plan.

12 So if I could, LaToya, may I please have
13 slide number 10 up? Here's what our FDA draft
14 definitions paper says about undetermined deaths.
15 And as you know, undetermined deaths were presumed
16 to be cardiovascular deaths in this trial.

17 There are a couple of caveats. So in
18 general, we believe that most deaths can be
19 classifiable as either cardiovascular or
20 non-cardiovascular. But the use of this category
21 of death, undetermined cause of death, should be
22 discouraged, and should apply to few patients in

1 well-run clinical trials.

2 124 patients with undetermined deaths is not
3 a small number of patients. That's actually a
4 large number of patients. And we go on to
5 state -- slide number 11 please -- that it's a
6 common analytic approach for cause-of-death
7 analyses. And the caveat is that the approach
8 should be prespecified, and we actually encourage
9 that. It is not only in the CEC charter, but also
10 in the protocols and also in any of the other trial
11 documentation and statistical analysis plan.

12 So moving forward to slide number 12,
13 please. So in summary, it's something that should
14 be prespecified, but the caveat is, is that the
15 number of undetermined deaths should be few. And
16 when we see this many undetermined deaths, we know
17 that there's missing data. I read the CEC minutes.
18 The CEC members were marveling at how much data
19 were missing for these undetermined deaths, and
20 they kept requesting further information.

21 If you look at these cases, these deaths are
22 completely uninterpretable, and they could be

1 non-cardiovascular deaths. They could be
2 cardiovascular deaths, and there could be many
3 possible etiologies, cardiovascular death due to
4 myocardial infarction, due to stroke, due to heart
5 failure, due to sudden death, but it was really a
6 mixed bag. And I think, had there been more
7 rigorous follow-up of this death information, that
8 we may have a better idea about the mechanism
9 behind cardiovascular death in this trial.

10 I think the take-home message here is, all
11 of that said, if you do an extreme analysis and
12 exclude all these undetermined deaths from the
13 analysis, the effect on CV death is still robust.
14 Thank you.

15 DR. SMITH: Thank you. Dr. Rosenberg, you'd
16 had a question hours ago this morning, and I've not
17 forgotten you if you still have a question.

18 DR. ROSENBERG: Well, they have accumulated
19 since this morning, of course. If you will allow
20 me, I will take a little more time. I try with one
21 not-simple question, but one question and then go
22 back to the rationale and the background behind

1 that simple question.

2 I would like to ask the sponsor, given all
3 the problems in collecting deaths and documenting
4 events, as Karen just outlined, why not come back
5 to a little bit of sanity here and a little bit of
6 simplicity about what clinical trials used to be
7 about, improving patient survival? So why not
8 propose an indication for overall survival in this
9 trial as you obviously had the power for it?

10 So now let's go back to what's behind this.
11 The undetermined cause of deaths, and I think Karen
12 just brought back the issue and it's still strong
13 here. But it brings back to fact that it's very
14 hard to classify deaths, but still, as has been
15 said, it's really too high a number. And then
16 there's the problem of the silent MI. And even the
17 best expert in the world, like Marc Pfeffer, just
18 really don't know how to classify silent MI, so
19 that should that even be included there?

20 I'm not sure we need to spend hours
21 discussing silent MI that shouldn't even be
22 considered probably in this type of trial if you

1 don't have a prespecified process, very carefully
2 designed case report form, to collect the
3 information systematically. And then maybe the CEC
4 can do a decent job and even there it's very hard.

5 Then finally, the adjudication process -- I
6 think, at some point, we need to revise what we are
7 doing here in terms of adjudication. The Cochrane
8 just published a meta-analysis of 47 studies of
9 277 -- 375,000 patients comparing adjudication
10 versus no adjudication versus investigator-reported
11 deaths.

12 There's absolutely no difference, except, as
13 a caveat, maybe when the investigators are
14 unblinded. And here, we can assume that probably
15 most of them were unblinded even if it was
16 supposedly a double-blinded study, but they knew
17 what the glycemia was, if it was going down or not,
18 so just a little caveat.

19 But I think we have to question the value of
20 adjudication here. Karen asked you and probably
21 won't answer how many millions you spent on
22 adjudication that may be used to form better

1 research here. And I think the FDA leadership has
2 a very strong idea there, so we'll move on and
3 maybe we'll get -- the FDA will get away with
4 adjudication in most trials. So maybe you can
5 answer the question.

6 DR. WOERLE: Okay. CO-72, please. Slide 2
7 please up. This is the finding on all-cause
8 mortality. Our interpretation was, since CV death
9 had a hazard ratio of 0.62, with a highly
10 significant p-value, whereas non-CV death had a
11 hazard ratio of 0.84, but not significant, that the
12 benefits we see in overall mortality are largely
13 driven by CV death.

14 It's also our understanding that, very
15 unfrequently, overall mortality indications are
16 granted and we focused on the most relevant finding
17 of the EMPA-REG OUTCOME trial, which was the
18 finding on CV death.

19 I could not agree with you more, and
20 probably Jim Januzzi will say the same, on the
21 difficulties of adjudicating outcome events, in
22 particular causes of death. I wanted to point out

1 that we had an extremely rigorous process. The
2 adjudication committee, whenever they felt they
3 would not have enough information to determine the
4 cause of death, asked the sponsor to reach out to
5 the investigator. We had three attempts to get as
6 many information back to the adjudication committee
7 as possible.

8 Then I would like to add one point on
9 presumed CV death. If the committee is concerned
10 about the finding on presumed CV death and exclude
11 that from the CV death findings, since these people
12 have died, there is no doubt, it would be logical
13 then to count them as non-cardiovascular death, and
14 I would like to show what happens then. That's
15 slide 2 up please.

16 Obviously, the finding on all-cause
17 mortality remains highly significant. CV death
18 remains highly significant with a hazard ratio of
19 0.59. But now non-CV death becomes significant,
20 which gives you an idea on the robustness of the
21 finding we have observed in the EMPA-REG OUTCOME
22 trial.

1 The last point you made on the CEC charter,
2 I'm not sure. You asked something on the CEC
3 charter. Have I clarified or commented on what you
4 wanted to know there?

5 DR. ROSENBERG: Well, I don't think you can
6 answer the question. Whatever the charter says
7 about classification, if you haven't collected the
8 information, there's no way you get something
9 valuable.

10 DR. SMITH: Okay. Dr. Wilson, you had had a
11 question much earlier.

12 (No response.)

13 DR. SMITH: Dr. Hiatt, you had had one much
14 earlier resolved.

15 (No response.)

16 DR. SMITH: Dr. Proschan, also much earlier?
17 I'm aware of that.

18 DR. PROSCHAN: Right. No. I was interested
19 in the stroke analyses. There was an increase in
20 stroke, and I absolutely agree with the sponsor
21 that looking at overall stroke, fatal and
22 non-fatal, is what we should be doing. It does not

1 make sense to look at non-fatal stroke if you have
2 to censor fatal stroke. That just makes no sense.

3 But there was that stroke disadvantage
4 despite an advantage in terms of the blood
5 pressure. And I'm just wondering has anyone done
6 any kind of analyses where you look at differences
7 in stroke. And I know this is a dangerous thing to
8 do, but trying to adjust for post-randomization
9 blood pressure, or doing some sort of landmark
10 analysis where you look at how much blood pressure
11 they've lost in a certain period and then move
12 forward from there to look at strokes and to try
13 and adjust for those differences.

14 DR. WOERLE: Yes, certainly we have in depth
15 looked into the relationship of drop in blood
16 pressure and potential occurrence of stroke. And
17 our colleagues in drug safety have done numerous
18 analyses and could not find an association. We
19 looked into outlier analysis in extremes. Those
20 patients with the highest drop in blood pressure,
21 whether those were those patients who had a higher
22 risk of stroke, we didn't find any of these

1 associations.

2 I fully agree with you that, in general,
3 when you see a larger blood pressure drop, that you
4 should anticipate a benefit on stroke. However,
5 what is important to keep in mind is the magnitude
6 of the blood-pressure lowering and also the
7 baseline blood pressure.

8 When you look into the recent ACCORD trial,
9 which is probably the best evidence we have, ACCORD
10 blood pressure, where a similar blood pressure
11 lowering was seen as in the EMPA-REG trial in the
12 diabetic population, I highlight on the diabetic
13 population. Actually, no benefit on stroke could
14 have been observed. And if any, one probably had
15 to wait much longer than the three years what we
16 have observed in this trial.

17 DR. SMITH: Dr. Budnitz, you had a question.

18 DR. BUDNITZ: Yes. This is a question
19 regarding CV death in subgroup analysis, as
20 presented in the sponsor slide CO-54, but I use
21 that just as a starting point. That shows the
22 overall hazard ratio of 0.62 in various subgroups

1 that are around 0.62. But I think one subgroup
2 that isn't in the slide but in the very nice
3 materials, is by region. And it seems like there's
4 much greater heterogeneity by region in not just
5 the cardiovascular death outcome, but I think all
6 outcomes, ranging from a hazard ratio 0.35 in Asia,
7 up to 0.81 that includes one in the confidence
8 interval in North America.

9 So I'm just wondering if I'm interpreting
10 this correctly. I'm less concerned with the
11 confidence interval and more about the point
12 estimate being much closer to unity for North
13 America, and Europe as well. It seems to be a
14 consistent finding across the outcomes. And I'm
15 wondering if the sponsor has insights and make sure
16 I'm understanding this correctly.

17 DR. WOERLE: Overall, our interpretation on
18 the cardiovascular death finding is that there's
19 actually very little heterogeneity with no
20 significant p-values for interactions. We also
21 looked into the regional distribution and the
22 p-value for interaction was 0.14, not indicating

1 major interactions.

2 We looked into what from our point of view
3 is an at least as important analysis. When you
4 look into white Caucasians, living most frequently
5 in North America and Europe, versus other
6 populations and the hazard ratio for the white
7 population is 0.64 with the upper bound being below
8 1, with a confidence interval of 0.83.

9 DR. BUDNITZ: So just to clarify, you don't
10 think it's a significant difference between a
11 hazard ratio of 0.35 in Asia and 0.81 in North
12 America?

13 DR. WOERLE: We have extremes. We always
14 have certain extremes. The test we apply is
15 significant interactions. And when we look into
16 what drives the EMPA-REG OUTCOME results, the
17 majority of events come from North America and
18 Europe. So the number of events being contributed
19 actually from Asia is less than 50. And then when
20 you get into relatively lower numbers, you see
21 certain heterogeneity in the point estimate with
22 widening of confidence intervals.

1 DR. BUDNITZ: Although there are equal
2 numbers from Asia and North America in the study?

3 DR. WOERLE: What I'm saying is, when you
4 combine North America and Europe, it gives you the
5 most reliable estimate. And when you combine North
6 America and Europe, the hazard ratio is virtually
7 identical to the 0.62. And these are the two most
8 robust cohorts we have.

9 DR. SMITH: All right. Diana Hallare, you
10 had some questions much earlier today. Again,
11 apologies for taking so long.

12 MS. HALLARE: Hello. This is also a
13 follow-up to Mr. Budnitz. I would like to ask
14 about CO-54 and also CO-41, wherein the African-
15 American or black population, for instance, had a
16 higher hazard ratio. And also if you would
17 consider the ages 30 to 59, especially the ages 45
18 to 59 population, they are more leaning towards the
19 placebo than towards the empagliflozin.

20 Also, I was wondering how could you explain
21 that effect? I know there may be extremes in
22 certain populations, but do you think, for

1 instance, that the lipid effect could be a factor
2 in it or what could be possibly the background
3 processes behind the effects that are seemingly
4 extreme at this point?

5 DR. WOERLE: Let's review briefly 3-point
6 MACE subgroup analysis, slide 2 up from the core
7 presentation. It's correct that we saw some
8 heterogeneity when it comes to subgroups. Subgroup
9 findings become particularly difficult to interpret
10 when you have about 50 or less events.

11 Now, we recruited in this trial 5 percent of
12 the overall population who identified themselves as
13 black or African-American. These 350 patients
14 allowed us to make a reasonably robust assessment
15 on the overall safety of the compound, which did
16 not appear to be different from the overall
17 population.

18 We have also information from our phase 3
19 program where we also recruited African-American
20 and black population. We did not identify any
21 differences in terms of blood pressure or response,
22 if any slightly more, but no major differences in

1 LDL or HDL cholesterol.

2 The most important and the striking finding
3 of the EMPA-REG OUTCOME trial is the CV death
4 finding. And when you look into the CV death
5 finding -- slide 1 up please -- all hazard ratios
6 are on the left side of unity.

7 Now, a problem that I just mentioned is the
8 number of events and the widening of the confidence
9 intervals and the reliability of the point
10 estimate. And one can see, for the African-
11 American population, the population seems to have a
12 similar effect as the overall population, but given
13 the lower number of events, there is greater
14 uncertainty.

15 But our overall conclusion is that in terms
16 of general safety, there is no difference between
17 the African-American population and the overall
18 population. And in terms of CV death benefit, the
19 same statement holds true, with limitation based on
20 low numbers.

21 MS. HALLARE: I would also like to ask about
22 the effects or interactions with other medications,

1 for instance with the beta blockers and the ACE
2 inhibitors, for instance. So I was wondering if
3 that could have an effect on different side effects
4 or whether that could have had effect on the
5 lipids, for instance.

6 DR. WOERLE: We looked into subgroups
7 prespecified as for ACE and beta blockers. There
8 was no significant interaction with very similar
9 hazard ratios, 0.65 and 0.61 for ACE and the same
10 for beta blockers. So there was no interaction.

11 MS. HALLARE: Thank you.

12 DR. SMITH: Dr. Neaton?

13 (No response.)

14 DR. SMITH: Dr. De Lemos?

15 DR. DE LEMOS: Yes, a question for FDA. Can
16 you expand a little bit on the reluctance to
17 consider all-cause mortality in general as an
18 indication and specifically in this case where you
19 have a cardiovascular death finding that's out of
20 context already?

21 So how is that qualitatively different
22 than all-cause mortality, particularly given all

1 the challenges we've just talked about with regard
2 to classifying death?

3 DR. GUETTIER: I'm going to call Dr. Temple
4 to the microphone.

5 (Laughter.)

6 DR. TEMPLE: I'm not sure historically how
7 true that is, but you can think of a reason. It's
8 hard to think of a drug that effects all causes of
9 mortality, so it doesn't make a whole lot of sense.
10 But we frequently look at all-cause mortality to
11 see if the beneficial effect on one subset is
12 countered by another. So we are interested in it.

13 If you had a profound effect on
14 cardiovascular mortality and overall mortality went
15 the other way, you'd agonize a lot about what the
16 heck was going on. But I think that's the reason.
17 It doesn't make sense that a drug would affect
18 specifically every single kind of mortality, and I
19 think that's why. But I'm not sure we've -- we've
20 put it in labels. We've shown the results. So I
21 don't think there's a systematic conclusion.

22 DR. SMITH: Dr. Cho?

1 DR. CHO: Just a quick question about
2 regional variation in non-assessable cardiovascular
3 death. Was there one particular region with higher
4 rates of inability to assess death?

5 DR. WOERLE: I'm looking at my colleague
6 from drug development, Uli Broedl. Can you answer
7 the question, please?

8 DR. BROEDL: Uli Broedl, Medicine. We
9 looked at presumed cardiovascular death, not only
10 in the overall population, but also per region, and
11 there was no difference in the occurrence of
12 presumed CV death per region, no difference.

13 DR. SMITH: Dr. Schambelan?

14 DR. SCHAMBELAN: So this refers to FDA's
15 slide 12 in the clinical assessment and to the
16 point about censoring the non-assessable deaths.
17 So, as a cardiovascular consultant pointed out, the
18 findings were still robust for CV death. But if I
19 understand that slide correctly, there's no longer
20 superiority demonstrated for the 3-point MACE. So
21 is that simply a matter of numbers, or what are we
22 to take from that difference?

1 DR. CHONG: I just want to make sure I have
2 the question right. So you want to know why on
3 slide 12 the statistical significance is lost for
4 3-point MACE when you exclude non-assessable death.
5 Correct?

6 DR. SCHAMBELAN: The point was made earlier
7 that it's still a robust finding if those people
8 are excluded whom we don't know the cause of death.
9 The CV death is still a robust finding, but we lose
10 the superiority upper bound for 3-point MACE. Is
11 that simply a matter of numbers? I want to try to
12 understand that. And that's my guess.

13 DR. SCHAMBELAN: Right. Fewer deaths that
14 feed into the 3-point MACE which is driving --

15 DR. WOERLE: Lack of power.

16 DR. SMITH: Okay. That's resolved by the
17 data, by looking at these data again? Or you're --

18 DR. GUETTIER: You basically have less
19 endpoint events, and that's what you get when you
20 do the analysis whether or not -- of course it's a
21 retrospective analysis. It also makes certain
22 assumptions, which may be valid or not valid, and

1 that's for everybody to decide.

2 DR. SMITH: Dr. Rosenberg?

3 DR. ROSENBERG: Thank you. I'd like first
4 to push back a little against what Dr. Temple just
5 said, with all the respect I have for him. The
6 patient who takes a new drug, he wants to know is
7 he going to live longer or not. That's the bottom
8 line.

9 I understand from the drug development
10 clinical trialist point of view, it only makes
11 sense to look at overall mortality if you know the
12 drug has specific targeted effect if there's no
13 competing risk. It looks like for this drug, this
14 class of drug, cardiovascular mortality is
15 overwhelming. So I don't see any problem for using
16 overall mortality and getting rid of all this
17 adjudication and all this nonsense.

18 Now I have two questions, back to the data,
19 one about stroke. I really don't understand how
20 and why the sponsor didn't look more carefully at
21 stroke in terms of separating hemorrhagic stroke
22 and ischemic stroke. I haven't seen the data

1 clearly.

2 From the CEC point of view, sponsor, I don't
3 know why you included TIA, which has no clinical
4 meaning whatsoever when you compare it to the other
5 strokes. It doesn't make sense to pool TIA with
6 stroke, so I'd like to see clearer on that.

7 The second question was regarding if we
8 started splitting and slicing data, let's continue.
9 And I'd be interested to see data by country,
10 country-specific data rather than region. For
11 example, I don't remember having seen Western
12 Europe versus Eastern Europe, but where it was all
13 coded into different countries.

14 DR. WOERLE: We've done these analyses. We
15 have presented in the briefing book, but we're
16 happy to provide you the data. And Dr. Sven
17 Koehler, who did extensive assessment will walk you
18 through.

19 DR. POCOCK: So first of all, to clarify,
20 TIA was not included in the primary endpoint. Only
21 strokes were included in the primary endpoint.
22 Second, if we looked into types of stroke,

1 hemorrhagic strokes were reported in less than 10
2 percent of the cases. Slide 2 up, please. So the
3 vast majority of strokes indeed were ischemic
4 strokes.

5 We went back retrospectively and further
6 evaluated the types of stroke and asked the
7 adjudication committee to assess the respective
8 types of strokes. And I would like to ask
9 Dr. Bernstein to comment on that.

10 DR. BERNSTEIN: Can we have slide 2 up,
11 please? Richard Bernstein, stroke neurology from
12 Northwestern. If you look at the vast majority of
13 strokes in this study, they were ischemic. And as
14 the panel knows, ischemic stroke is really not just
15 one disease. It's several diseases.

16 So, for example, large artery
17 atherosclerosis is a quite different disease than
18 small vessel occlusion, which are both quite
19 different than cardioembolic stroke, which is due
20 usually to atrial fibrillation. And undetermined
21 etiology, which accounts for a sizeable number of
22 strokes here, is a grab bag of different biological

1 processes.

2 What you can see here is the relative number
3 of events in the placebo and empagliflozin arms,
4 and there's a non-specific increase in all types of
5 events, which to me is not a biologically plausible
6 result since all of them are different mechanisms.

7 DR. SMITH: Is that a follow-up related to
8 this, Dr. Good, related to this same point?

9 DR. GOOD: Yes, just a follow-up of that. I
10 read in one of the reports that the drug group had
11 a lower use of anti-platelet agents and
12 anticoagulants and had a higher risk of atrial
13 fibrillation. And I wondered if that might
14 possibly explain some of the apparent difference,
15 maybe not the whole thing.

16 DR. WOERLE: It's correct, we saw some
17 subtle differences in the use of anticoagulative
18 medication. We saw some differences in the
19 regional distribution. You saw the data this
20 morning in Europe that the stroke rate was much
21 lower in the placebo group, being suggestive that
22 they might have received more comprehensive

1 preventive medication. But when we looked into the
2 data, we could not establish a clear association.

3 DR. SMITH: Dr. Palevsky, you had a
4 question?

5 DR. PALEVSKY: So we haven't talked much
6 about the kidney disease aspect. And looking at
7 your presentation versus the agency's presentation
8 and your briefing materials, in your combined
9 outcome of new or worsening nephropathy, I'm
10 confused by the renal replacement therapy, which is
11 described in some places as continuous renal
12 replacement therapy and in others as renal
13 replacement therapy.

14 As the agency points out, continuous renal
15 replacement therapy is a treatment that is almost
16 exclusively used in patients with acute kidney
17 injury in the setting of critical illness. So can
18 you clarify that aspect of the endpoint and what
19 you were really assessing there?

20 Then the other is the doubling of serum
21 creatinine and eGFR of less than 45, which again
22 can represent either acute disease or chronic

1 disease. So can you provide additional granularity
2 on how you know or don't know that this is
3 progression of chronic disease for these patients?

4 DR. WOERLE: Certainly. Can I have slide 2
5 up? And may I ask Dr. Kim to join me? And one of
6 the limitations of the data we have on the EMPA-REG
7 OUTCOME trial that indeed events were not
8 adjudicated, which leaves us with a certain degree
9 of uncertainty when it comes to the initiation of
10 renal replacement therapy. What is the striking
11 finding that all components of the composite are
12 going in the same direction, but we are aware of
13 the limitation given small numbers.

14 But you specifically asked on acute versus
15 chronic kidney renal replacement therapy, and Dr.
16 Kim will provide you the answer.

17 DR. KIM: So to clarify the first question,
18 which was pertaining to is this actually chronic
19 renal replacement therapy or acute renal
20 replacement therapy, it was a mixture of both.
21 Slide 3 up, please. So, when we did the analysis,
22 both acute initiation of renal replacement therapy

1 and chronic renal replacement therapy were
2 included. And the endpoint that would show us in
3 terms of initial renal replacement was a
4 combination of both.

5 When we look at specifically chronic renal
6 replacement therapy, indicating a start and
7 continuation of replacement therapy until the end
8 of the trial, we saw fewer numbers, but the hazard
9 ratio was still similar to what we observed for the
10 total. The second question was pertaining to GFR
11 doubling of creatinine.

12 DR. PALEVSKY: So verification that the
13 doubling of serum creatinine and eGFR was a
14 persistent decline of that rather than representing
15 either biological variation or true acute kidney
16 injury.

17 DR. KIM: The doubling of creatinine was
18 also a singular measurement of doubling of
19 creatinine, and that would have made that endpoint.
20 Slide 2 up, please. So the first row indicates the
21 number we have presented, the doubling of serum
22 creatinine, and lower than 45 mLs per min per 1.73

1 meters square.

2 When we look at sustained doubling of
3 creatinine, which would be defined as a measurement
4 after 30 days having a continuous doubling of
5 creatinine, the numbers do decrease.

6 When we look at other modern endpoints, such
7 as sustained 50 percent decrease in eGFR or
8 sustained 40 percent of decrease in the eGFR, the
9 numbers again increase. But looking at the hazard
10 ratios, they continued to be consistent with the
11 initial finding based on single creatinine
12 measurements.

13 DR. SMITH: Dr. McBryde?

14 DR. MCBRYDE: I'm going to follow up on
15 Paul's inquiry about the kidney. I had a question
16 for both the sponsor and for FDA. For the sponsor,
17 a couple of quick questions. One, I was curious
18 which MDRD formula that you used. I presume it was
19 probably demographics and serum variables because
20 I'm curious if there were urinary assessments done
21 as part of the evaluation.

22 DR. WOERLE: Dr. Broedl will provide you the

1 answer.

2 DR. MCBRYDE: Thanks.

3 DR. BROEDL: Uli Broedl, Medicine. You are
4 correct, there were no urinary samples or data that
5 were included.

6 DR. MCBRYDE: Then I couldn't find in the
7 materials the urine albumin to creatinine ratios.
8 How were those standardized, and do you know what
9 assay was used for the measurement of the albumin?
10 Was it a turbidimetric, a nephelometric,
11 radioimmunoassay?

12 DR. BROEDL: So I can't answer this on top
13 of my head. All I can say right now is this was
14 based on spot urine measurement and at regularly
15 defined visits where we took safety levels.

16 DR. MCBRYDE: In that spot, do you know if
17 they were first morning urines, or if they were
18 random samples?

19 DR. BROEDL: Our trial protocol, the visit
20 usually should have been in the morning, so the
21 presumption is first morning visits, but this was
22 not fully standardized.

1 DR. MCBRYDE: Thank you. And then for FDA,
2 I wanted to follow up on slide 23. You had
3 analyzed the data after the wash-out looking at the
4 albuminuria. I was curious if you had done a
5 similar analysis looking at the eGFR measurements
6 and the blood pressure measurements.

7 DR. LUNGU: The eGFR does go up. I don't
8 recall for blood pressure, but I think it does as
9 well. But the eGFR we did not present, but it does
10 go up.

11 DR. MCBRYDE: Thank you.

12 DR. GUETTIER: I think the sponsor had the
13 eGFR figure in their talk.

14 DR. WOERLE: Yes, slide 1 up, please. So
15 does this speak for itself, or do you have
16 questions?

17 DR. MCBRYDE: Thank you.

18 DR. WOERLE: We did similar measurements for
19 blood pressure and blood pressure values came back
20 to baseline. And the difference at the end of the
21 trial is approximately 4.5 milliliter.

22 DR. MCBRYDE: Thank you.

1 DR. SMITH: So where we are -- yes,
2 Dr. Palevsky?

3 DR. PALEVSKY: On that last slide, just
4 since you have switched from using MDRD at another
5 point and using CKD-EPI here, which CKD-EPI
6 equation? Is this creatinine? Is this creatinine
7 cystatin-C? So which equation are you looking at,
8 and why are you switching eGFR calculation methods?

9 DR. WOERLE: Okay. We have Dr. Wanner with
10 us. He's the first author on the recently
11 published New England Journal who is best equipped
12 to provide you this answer.

13 DR. WANNER: Christoph Wanner, University of
14 Würzburg. I appreciate to respond to your
15 question. I think the first one you were asking
16 was CRRT, continuous renal replacement therapy.
17 It was a language issue. When I came in, I
18 corrected this. This is dialysis. It's not CRRT
19 on the intensive care unit.

20 The albumin measurement was nephelometric.
21 The four laboratories forming the core laboratory
22 were using the same assay. The creatinine was

1 enzymatic measurement and it was isotope dilution,
2 mass spectrometry corrected, so standardized. And
3 the MDRD formula was introduced in 2010, and we
4 decided to do so because at this time, the CKD-EPI
5 was not validated across the globe and the MDRD for
6 an entry criteria.

7 But then the reviewer from the New England
8 Journal paper asked us subsequently, you can use
9 the CKD-EPI to create the slopes since the
10 creatinine is standardized. And then we switched
11 to creatinine. And cystatin-C, we only measured in
12 a thousand patients and this is not in this
13 analysis.

14 DR. SMITH: So given where we are on the
15 clock, I would like to move to the discussion
16 questions. There were a few people who had their
17 hands up for clarifying questions. So I want to
18 move forward, but if there are questions remaining
19 that you feel are important before we move related
20 to the discussion questions, we'll entertain those.
21 So is that -- yes, Dr. Konstam?

22 DR. KONSTAM: I don't know if this really

1 even needs an answer, but with regard to what
2 occurred at the time of the interim analysis, the
3 sponsor said something to the effect of a Chinese
4 wall or complete protection between the people who
5 were unblinded and not. The FDA presentation said
6 there were 230 individuals unblinded.

7 It's kind of inconceivable to me that 230
8 people can keep their mouths shut for two years or
9 whatever it took. I mean, that could be a comment.
10 If the sponsor wants to answer it, I guess that
11 would be okay.

12 DR. WOERLE: We have implemented, after the
13 agreement with FDA on the conduct of the trial and
14 the interim analysis, a comprehensive plan to
15 maintain trial integrity. All individuals had to
16 sign confidentiality agreements.

17 DR. KONSTAM: No, I'm sure you did the best
18 you can.

19 DR. WOERLE: We undertook every effort, but
20 at the end of the day, you're right. Effort is
21 nice, but what is the outcome of your effort? And
22 what gives us the greatest reassurance, when you

1 look into the primary endpoint and the components
2 of the primary endpoint and when you look at
3 patients being included prior to the interim
4 analysis and those patients being included after
5 the interim analysis, that you basically get almost
6 virtually identical hazard ratios.

7 **Questions to the Committee and Discussion**

8 DR. SMITH: Okay. We will now proceed with
9 the questions to the committee and the panel
10 discussions. And I just want to remind public
11 observers that while the meeting is open for public
12 observation, public attendees may not participate
13 except at the specific request of the panel.

14 We'll go to the first discussion question,
15 and I will read this. Discuss your interpretation
16 of the EMPA-REG OUTCOME study conduct. Please
17 comment on whether interim unblinding, or changes
18 made to the protocol, endpoint definitions, and
19 analysis plan, for example specific exclusion of
20 silent MI from the primary endpoint, during the
21 course of the EMPA-REG OUTCOME study alter or do
22 not alter your level of confidence in a conclusion

1 that excess CV risk was excluded, and CV benefit
2 was established.

3 So I'll open that for discussion or for
4 comment from members of the panel. Dr. Hiatt?

5 DR. HIATT: Thanks. Well, these points have
6 already been made. And this trial was primarily
7 designed as a trial to exclude a certain level of
8 cardiovascular risk, and it was repowered to be
9 able to establish superiority. When you sort of
10 play with those numbers, the event rate on placebo
11 was 4.4 percent, which is kind of a standard event
12 rate. It's not super high.

13 If you're trying to power for efficacy in a
14 cardiovascular outcome trial with say a hazard
15 ratio of 0.85, you need about 1200 or 1300 primary
16 events. So 600 events seems kind of low to me for
17 a primary MACE endpoint, which left me a little bit
18 confused as to really what the intent of the trial
19 was. I think it's easiest to interpret the trial
20 on establishing non-inferiority, and it's a little
21 more challenging with the MACE primary to establish
22 superiority.

1 I think the other components of these
2 questions, including silent MI, may be easier to
3 answer in number 2.

4 DR. SMITH: Yes, Dr. Konstam?

5 DR. KONSTAM: Well, the question basically
6 is, are we influenced by these things we've been
7 concerned about in the trial. And I guess I am
8 really principally because so much rests on the
9 p-value for the superiority for the MACE 1 endpoint
10 that sits at about 0.04.

11 There are issues about maintaining the blind
12 during the interim analysis. There are issues of
13 missing data for the MACE 1 endpoint, MACE 3
14 endpoint. There are issues about silent MI, which
15 I'm not so concerned about as an individual thing.

16 But I guess, in aggregate, I guess I am
17 concerned about them really because, you know for
18 that endpoint of MACE 3, which I believe is really
19 important here, it's a marginal figure to begin
20 with.

21 DR. SMITH: So again, to follow up that, I
22 think in the discussion question itself, at least

1 as the FDA wrote it, they've really focused -- they
2 don't mention MACE 3 and they're really focusing on
3 cardiovascular risk.

4 I back off. I've reread the question.
5 Cancel my last two sentences. Other comments on
6 this? Dr. De Lemos?

7 DR. DE LEMOS: I just think with the
8 indication, it's not relevant. Right? I mean,
9 we're talking about a cardiovascular death
10 indication and these are all important issues. The
11 silent MI is an important issue, but we're talking
12 about cardiovascular death, not the primary MACE
13 component. So frankly, the blinding issues are
14 less important there, too. And whether there was a
15 firewall leak, when we're talking about a death
16 endpoint, I think these issues kind of wash out.

17 DR. SMITH: Other comments? Dr. Neaton?

18 DR. NEATON: I mean, I've been involved in a
19 number of trials where we've measured silent MI. I
20 would agree with what the sponsor and I think the
21 FDA is concurring, that they just dropped that. I
22 think that's a bit of a mess, and so I think they

1 just went about doing it incorrectly, and possibly
2 labeled it incorrectly.

3 When I measure silent MI, you want to make
4 certain the surveillance of people in the 2 groups
5 is done comparably over the whole course of
6 follow-up, which typically means ECGs at standard
7 intervals, and comparing serial ECG change. And so
8 they didn't do that from the start.

9 I share Dr. Konstam's concern about the
10 MAC 3. I have to say though, I was reassured with
11 two things in the FDA analyses. One, they did
12 address, at least to a limited extent, the impact
13 of missing data. And they're right on the border.
14 The upper bound of that confidence interval was
15 0.996.

16 The other thing they did, which relates to
17 some of the earlier discussion, which I think was
18 actually reasonable potentially in a trial where
19 you expect a large fraction of the deaths to be
20 cardiovascular, is simply change your composite to
21 look at fatal/non-fatal MI, and stroke, and all-
22 cause mortality. And in that situation, the result

1 is actually stronger.

2 I think while trials can always be done
3 better in terms of follow-up, the sufficient
4 analyses led me to kind of have some reassurance
5 and confidence in at least the outcome that we're
6 going to be talking about later.

7 DR. SMITH: Dr. Cooke?

8 DR. COOKE: I think the aspect of the study
9 design or conduct that bothers me the most was that
10 large percentage of deaths that were unassigned or
11 uninterpreted. I forget what the exact term is.
12 But the fact that 40 percent of the deaths were not
13 defined and had to be put into the presumed
14 cardiovascular death, the fact that's such a large
15 percentage, does bother me.

16 I think the FDA made the point that what you
17 do with those -- it's important what you do with
18 them, but those numbers should be relatively small.
19 And especially if we're trying to discuss an
20 efficacy trial where 40 percent of the deaths we
21 don't know what the cause is, that bothers me.

22 Now, that's mitigated a lot by a number of

1 the other sort of analyses. The fact that
2 all-cause mortality was significantly lower with
3 the treatment, and the fact that the sensitivity
4 analyses in a number of ways, whether you assign
5 them to all cardiovascular or not cardiovascular,
6 or eliminate them completely, the data are
7 supportive of efficacy. But again, I think that
8 number does stick out a lot to me.

9 DR. SMITH: Dr. Rosenberg?

10 DR. ROSENBERG: Yes, I agree with Dr. Neaton
11 and Dr. Cooke's comments. I mean, that bothers me
12 not because it seems to change the outcome, but it
13 bothers me about what else has happened during the
14 study conduct that we don't know.

15 The fact that such a large trial with so
16 much monitoring, they see such a level of
17 uncertainty about so many things related to the
18 outcomes, and I assume other things that we're not
19 sure how the data was collected so that we're not
20 able to validate those critical elements, that does
21 bother me.

22 DR. SMITH: Dr. Proschan?

1 DR. PROSCHAN: I was initially troubled by
2 the fact that it seemed like perhaps people were
3 seeing results and that's what caused them to
4 resize the trial to show benefit. But after
5 hearing the FDA's presentation, I'm convinced that
6 that's not what happened because the FDA actually
7 encouraged them to increase the size of the trial
8 rather than have a separate trial. So that doesn't
9 bother me anymore.

10 Also, the undetermined cause of death
11 doesn't bother me that much because the all-cause
12 mortality result was significant. And I disagree a
13 little bit with Dr. Temple. I usually don't
14 disagree with Dr. Temple. I know better.

15 (Laughter.)

16 DR. PROSCHAN: But I think when you say that
17 there's a benefit on all-cause mortality, you're
18 not saying, this benefits every single cause of
19 mortality, you're just saying there's a benefit on
20 mortality. Most of the mortality is
21 cardiovascular, and so I think it is meaningful if
22 you prefer to not say all-cause, just say there's a

1 benefit on mortality. I think that's substantiated
2 from the results here.

3 DR. SMITH: Thank you. And Dr. Everett?

4 DR. EVERETT: Just a quick comment that I
5 think the fact that the primary, the endpoint that
6 we're discussing here is a mortality endpoint. As
7 Dr. De Lemos said earlier, it makes this much
8 easier in some respects because, as the sponsor has
9 pointed out, it's not like we're misclassifying
10 somebody as alive versus dead.

11 We're convinced they're dead. It's just a
12 question of classifying them as cardiovascular
13 versus non-cardiovascular. And depending upon how
14 you draw that line, the benefits are present in
15 both groups or more substantially in cardiovascular
16 death.

17 I think the wandering road that the trial
18 took organizationally is notable. And I bet if the
19 sponsor could have a do over, they probably
20 wouldn't have as many amendments and readjustments
21 and realignments. That said, I'm not convinced
22 that those had a material effect on the outcome or

1 in my confidence of the outcome. If we were
2 talking about a different endpoint than mortality,
3 my answer there might be substantially different.

4 DR. SMITH: Dr. Cho?

5 DR. CHO: Thank you. I think for me, the
6 most interesting part was reading the recently
7 published LEADER trial, which also had a placebo
8 cardiovascular death rate of 6.0 percent, which is
9 very similar to the death rate in the EMPA-REG
10 OUTCOME study, which actually reassured me a great
11 deal. Even though there was a 40 percent presumed
12 non-assessable cardiovascular death, it's
13 remarkably similar to another diabetic trial.

14 DR. SMITH: Dr. Fradkin, did you have a
15 comment?

16 DR. FRADKIN: So I just looked up the
17 methods, the design paper for the study, which was
18 submitted in May of 2014. And even then, they were
19 describing as a significant secondary outcome the
20 time to individual occurrence of silent myocardial
21 infarction. So I am confused by this sort of
22 wandering course.

1 I'm just wondering why, if shortly before
2 the study ended, they said that this was in fact a
3 significant secondary outcome and they were doing
4 these EKGs, I don't understand how in this kind of
5 timeframe it sort of -- we lost silent MI. And I
6 am concerned because it looked, from the data, like
7 silent MI might have been a hazard signal.

8 I feel like even though what they're asking
9 for is cardiovascular mortality, from the
10 statistical perspective, you wouldn't be able to
11 consider that sort of part of a composite outcome
12 if you didn't think that the composite outcome was
13 solid. So I think I get back to what Dr. Konstam
14 said. The 0.04 is not super strong.

15 Now, that was not including -- they never
16 included silent MI, as far as I can tell, in this
17 design paper in the composite, but I think sort of
18 the whole variability of it does lead you to
19 question whether the composite outcome was strong
20 enough to get back to what was said in the initial
21 FDA presentation about not needing two trials.

22 DR. SMITH: Yes, Dr. Konstam?

1 DR. KONSTAM: I just maybe want to engage my
2 colleagues a little bit because I'm hearing people
3 viewing what's going on here in different ways.
4 And one of the comments around the table has been
5 well, you know, the real meat is going to be in the
6 cardiovascular death endpoint, so the MACE 3
7 endpoint may not be as important. And I'm kind of
8 not thinking exactly along those lines.

9 Let me just ask folks this. If the p-value
10 for the MACE 3 endpoint were 0.07 instead of 0.04,
11 and the CV death and all-cause death were exactly
12 the same as they are, would we be saying the same
13 thing?

14 I think the answer is no because I think you
15 won't have hit the endpoint that gets you to look
16 at the cardiovascular death, and you've lost the
17 hierarchy even one level up. So I think, at least
18 the way I'm thinking about it, whether or not the
19 MACE 3 endpoint is positive or not to me is real
20 important in terms of whether we should even be
21 considering the cardiovascular death endpoint. But
22 I don't know if -- I'm willing to be dissuaded from

1 that if people think --

2 DR. SMITH: Dr. Thomas?

3 DR. THOMAS: I actually agree with you
4 completely. If the MACE 3 endpoint did not meet
5 significance, we probably wouldn't be having this
6 meeting. You would have an intriguing finding that
7 there's less cardiovascular deaths and less deaths
8 overall, and you'd probably require another trial
9 to prove the point.

10 So there's a little bit of luck that these
11 cases that were deemed to be cardiac were put into
12 that category. If they were excluded as
13 non-cardiac deaths, as the FDA data shows, your
14 point estimates become larger, you're not
15 significant, and that's probably just due to having
16 less events to decide this.

17 So in a way, the sponsor is lucky that it
18 fell in a significant way. And I think that's the
19 ultimate decision, do you feel that that's enough
20 to sway with all the other evidence. It did meet
21 the primary endpoint, but there are some issues
22 with the data and the data collection, and how much

1 of that is going to play the role.

2 The only thing is no one's addressed the
3 other part of this question, which is, has CV risk
4 been excluded. And I think, actually, that seems
5 to be fairly confident that that has been excluded.
6 But I agree, I think the 3 endpoint has to be the
7 crux of whether you make this decision or not. If
8 you're comfortable with that being significant,
9 then you can go along the rest of the pathway.

10 DR. DE LEMOS: Why? I mean, why would we
11 interpret it that way with the p-value? I mean,
12 it's a probabilistic statement. The finding is
13 completely out of context, the mortality finding,
14 and we're evaluating an out-of-context standalone
15 mortality finding with an incredible p-value and
16 trying to decide. They're not asking for an
17 indication for reduction in the MACE endpoint.
18 They're asking for an indication of cardiovascular
19 death.

20 I guess it fails the common sense test to me
21 to say that we will consider this. Would we rather
22 be considering an indication where the primary

1 endpoint hit at P equals 0.48 and the
2 cardiovascular death and total mortality were
3 borderline non-significant? We give them that
4 indication, but we won't give them a mortality
5 finding?

6 This is a much stronger result for patients
7 and for the drug. Whether it's enough to stand
8 alone as a single trial is a separate point. I
9 mean, that's a different question. But it makes
10 zero sense to me to take this -- this finding is
11 clearly out of context with the composite. There's
12 no explanation within the composite for why one
13 sees this degree of mortality benefit. It's
14 unexplained and it stands alone, not as a component
15 of the composite.

16 DR. SMITH: Yes, Dr. Konstam?

17 DR. KONSTAM: Well, it's the last question
18 where we'll start drawing conclusions, right. So
19 I'm listening to my colleagues as you are. But
20 there are a number, I'm sure, as you know, of prior
21 cardiovascular trials where there was a
22 statistically significant benefit on either

1 mortality or cardiovascular mortality that was not
2 the primary endpoint and was not in the hierarchy
3 of secondary endpoints.

4 It might have been a down-the-road secondary
5 or an exploratory endpoint. It might have been
6 something that was looked at post hoc. It might
7 have been something that came out of a subset
8 analysis. And now, in all of those circumstances,
9 well, at least the ones I'm thinking of, when it
10 was replicated in a trial specifically designed to
11 look at mortality, it didn't show up at all.

12 Now, there are things maybe that can get us
13 there. Okay? But I mean, I would stick to the
14 point that we approach questions in a clinical
15 trial in a hierarchal format in order to retain
16 alpha. And for this particular endpoint of
17 cardiovascular mortality, we're out of alpha. So
18 why would you -- let's say if it were something
19 else, I mean, I think we're affected by the fact
20 that it's mortality, but we still want to get it
21 right.

22 Let me just make one more point. I think

1 there are things that could influence us despite
2 that to say, yes, but I'm going to go with it
3 anyway, for example, a very, very, very low
4 p-value. The problem I have with that -- and I
5 respect Dr. Pocock, but I don't think that the real
6 p-value is calculable in a setting like this where
7 we just have an endpoint that's floating, where we
8 really don't have it in the hierarchy, but maybe
9 that could influence this.

10 The second thing that might influence us is
11 the number of events. Okay? So there are 300
12 some-odd events, cardiovascular mortality events.
13 And we might say it really is about the number of
14 events, that's a critical factor. Those could help
15 us get there I guess, but I really would
16 stick -- if you want to be rigorous about it, you
17 believe something if you got to it in a rigorous
18 statistical way.

19 DR. SMITH: Dr. Thomas, respond.

20 DR. THOMAS: I think to just add to what you
21 mentioned is really the crux of this in terms of
22 the final decisions that are made, is this

1 sufficient based on what we have to be a single
2 trial to give this indication. I was on the
3 committee for the JUPITER trial, which was a much
4 larger trial and I think it was much clearer. And
5 even then, there was some hesitation about a single
6 trial, no matter how well done, to get an
7 indication like this.

8 So really, that's going to be the end
9 question that people ask, is this one trial, based
10 on these factors, even though the cardiovascular
11 reduction and mortality is, from what the data is,
12 is dramatic, going to be enough to require another
13 trial or is this sufficient.

14 DR. SMITH: Dr. Wilson?

15 DR. WILSON: So to build on what was just
16 said, I think what we're missing is a plausible
17 really well-defined and substantiated mechanism.
18 So we have glucose, and it doesn't appear to be
19 glucose. We have blood pressure. The blood
20 pressure effects are not real big. We have heart
21 failure.

22 Throughout this meeting, the heart failure

1 information has really been downplayed because it's
2 not like what we've seen for some of the other
3 studies for heart failure. And some of the
4 definitions of heart failure admissions are rather
5 short-term admissions. And also some of it's
6 change in medication programs.

7 I think that's why we're circling back to
8 what Marv Konstam's been saying, how did we get
9 there? And can we really say this is it for a
10 death outcome, but we're not sure how we got there?
11 So I still have some concern about how we got there
12 and raise the hierarchal issues that were
13 originally raised almost as the very first question
14 of the day by Will Hiatt. Important issue.

15 DR. SMITH: Who had his hand up again, Dr.
16 Hiatt?

17 DR. HIATT: I feel we're wandering between
18 question 1 and question 2. And so in terms of
19 strength of the evidence and trying to dissect what
20 this means, I think I'll hold off until question 2.

21 DR. SMITH: Dr. Palevsky?

22 (No response.)

1 DR. SMITH: Dr. Rosenberg?

2 DR. ROSENBERG: Yes, maybe I should hold
3 off, but I'll go anyway. Well, in fact, it's two
4 questions. One, related to what's just been said,
5 I really agree that we didn't get a clear
6 explanation of the mechanism. And especially when
7 you see at the divergence of the curve within the
8 first three months, which is almost unheard of, I
9 heard talking about hemodynamic but really without
10 clear explanation about what that means that
11 explains such a drop in mortality. Patients in
12 this study didn't seem to have severe heart
13 failure, or any other problem that would explain
14 that. So I'm really puzzled by that.

15 My second question, again, may happen later
16 with answering the question is to the FDA.

17 DR. SMITH: I'm hesitant to ask more
18 questions because, really, at this point, if it's
19 something that will critically affect this
20 discussion, okay.

21 DR. ROSENBERG: Yes.

22 DR. SMITH: But we've sort of moved beyond

1 questions to us discussing what we got.

2 DR. ROSENBERG: I think it will, at least in
3 my mind.

4 DR. SMITH: All right.

5 DR. ROSENBERG: Is there any precedent for
6 this kind of study or other of the FDA having given
7 regulatory approval based on the component, the
8 positive outcome on one component of a composite
9 endpoint when the composite endpoint was the one
10 that was approved by the FDA as the primary
11 outcome?

12 DR. SMITH: Does FDA have a quick answer to
13 that?

14 DR. STOCKBRIDGE: I would say we routinely
15 dissect out a component and try to figure out
16 whether or not all of the components actually
17 contribute to it. And I don't even think, when we
18 do it, we insist that any one of the components
19 actually ends up being statistically significant in
20 order to name it. It's mostly about trying to weed
21 out components that clearly don't contribute to the
22 overall observed effect. It's done for descriptive

1 purposes.

2 DR. TEMPLE: I can give you an example.
3 What Norm said is right. When you have a composite
4 that's made up of multiple different things, why
5 would you even expect they all go the same way?
6 But the LIFE study comparing atenolol and losartan
7 had a composite endpoint the same as this, and it
8 won nicely showing that losartan was better.

9 When we look closely, all of the benefit was
10 on stroke. There was no hint of a benefit on MI or
11 anything like that, but fatal and non-fatal stroke
12 was responsible for the whole thing, and that's
13 what the label says. That's the claim they got.
14 We also noted that it didn't work in the black
15 population.

16 So you do look at these things, and how to
17 do it, and whether that makes sense, and whether
18 you've corrected for multiplicity is a very good
19 question. But as Norm says, you sort of have to
20 look.

21 DR. KONSTAM: Can I just follow through with
22 a question to you, Bob? But what trial were we

1 just talking about?

2 DR. TEMPLE: LIFE.

3 DR. KONSTAM: LIFE. But the primary
4 endpoint of the LIFE trial was positive. Right? I
5 don't remember the p-value. I think it was a
6 pretty low p-value.

7 DR. TEMPLE: You're exactly right. You had
8 to win on the primary endpoint --

9 DR. KONSTAM: Right.

10 DR. TEMPLE: -- which is what you said.

11 DR. KONSTAM: So you could have chosen how
12 to word the indication, but what would you have
13 done with that stroke finding if the primary
14 endpoint did not hit statistical significance?

15 DR. TEMPLE: No, no. What you said before
16 was right. It's presumed that you have to win on
17 the primary endpoint.

18 DR. KONSTAM: That's right.

19 DR. TEMPLE: That's right. And that's one
20 of the -- that's why you're asking that here.

21 DR. KONSTAM: Just to dissect that one step
22 further, you also like to see two trials. So you'd

1 like to see -- if you're going to go with one
2 trial, you'd like to see a pretty low p-value in
3 that primary endpoint.

4 DR. TEMPLE: Well, in LIFE, we didn't have a
5 very low p-value.

6 DR. KONSTAM: It wasn't that low?

7 DR. TEMPLE: No.

8 DR. KONSTAM: What was it?

9 DR. TEMPLE: I mean, it wasn't 0.001 or
10 anything.

11 DR. KONSTAM: I'm going to look it up. I'm
12 going to look it up.

13 (Laughter.)

14 DR. TEMPLE: It was better than 0.04.

15 DR. HICKS: I really need to speak up about
16 this because I believe that the heart failure
17 findings in this trial are not reliable. I looked
18 at a bunch of the CEC packets on heart failure and
19 a lot of the events that were adjudicated as heart
20 failure hospitalizations shouldn't have been
21 adjudicated as such.

22 Also, what I found in my review is that

1 events were being adjudicated as heart failure, and
2 they did not even meet the criteria of the CEC
3 definition. And based on my review, I found that
4 probably the definition that was used by the CEC
5 got further diluted to mean any ER visit and any
6 oral dose of Lasix.

7 Lastly -- and perhaps Dr. Januzzi can
8 provide some additional information on this -- it
9 appeared that these events were being
10 double-counted. So if patients came in with a
11 myocardial infarction and they had heart failure
12 secondary to the myocardial infarction, these
13 events were being double-counted as a myocardial
14 infarction and also as a heart failure
15 hospitalization.

16 So I think if the applicant can speak more
17 about this -- but this is what was found in the
18 adverse events datasets and also in the time-to-
19 event CEC datasets.

20 DR. SMITH: So does the applicant have a
21 response to that, just briefly?

22 DR. JANUZZI: Thanks for the opportunity to

1 respond. It is true that there were cases of
2 patients that would present with heart failure and
3 at the same hospitalization, they would have
4 myocardial infarction adjudicated as well. One
5 important thing to emphasize is that we adjudicated
6 the myocardial infarctions within the context of
7 the universal definition of myocardial infarction
8 wherein type 2 or so called supply/demand infarct
9 is not an uncommon scenario in the context of heart
10 failure hospitalization. So that's just one
11 situation I'd emphasize.

12 Relative to other specific individual
13 concerns, I would say when we adjudicated cases, we
14 adhered to the CEC charter for the definitions. I
15 can't speak to individual examples, but that's
16 obviously something you've had the opportunity to
17 look at.

18 DR. HICKS: So yes, I did see those cases
19 with type 2, but there were cases of type 1 MIs and
20 heart failure was associated with the type 1 MIs,
21 and there was double-counting of events.

22 DR. WOERLE: We conducted various

1 sensitivity on heart failure outcome. Slide 3 up.
2 We used the adjudicated events as being described
3 in the main presentation. We used loop diuretic
4 introduction as a proxy for hospitalization, for
5 new hospitalization. And we used the introduction
6 of loop or heart failure.

7 You see, we did the same analysis on
8 e-reporting on heart failure and we get always very
9 consistent findings. Slide 2 up. This is based on
10 symptoms, so edema, serious adverse events and
11 heart failure reporting. Slide down, please.

12 DR. SMITH: Dr. Proschan, did you have a
13 comment?

14 DR. PROSCHAN: Yes. I think we are
15 encroaching on question 2, and that one actually is
16 encroaching on question 4. But I just wanted to
17 comment on, y if the p-value for MACE 3 had been
18 0.07, would we even be here. I think we definitely
19 would be here.

20 This secondary outcome is not feelings of
21 dread and foreboding. It's cardiovascular
22 mortality and it's overall mortality. I think we

1 would definitely be here. And I think we do need
2 to consider it.

3 I know that there are people who say, okay,
4 if you don't get a significant result on the
5 primary, you can't look at anything else. But if
6 you're looking at mortality and you're seeing a
7 highly significant p-value, even after you make a
8 pretty strong adjustment for mortality -- I mean
9 for multiplicity, sorry -- I think that is pretty
10 compelling.

11 On the other hand, the one thing I will say
12 is there's always more multiplicity than you think
13 because you could consider well all the different
14 ways to analyze the data, the different components
15 of each outcome, when you add all that up, I think
16 it's more than 42. I'd be willing to bet a lot of
17 money that it's more than 42.

18 DR. SMITH: Dr. Cho, did you have a comment?

19 DR. CHO: I wanted to say that the MACE that
20 we're looking at in EMPA-REG is an athero MACE,
21 atherosclerotic event MACE. And the mechanism of
22 this drug is probably not its benefit on

1 atherosclerosis, and I think that we should all be
2 sort of mindful of that.

3 Traditionally, we've always accepted MACE as
4 sort of the athero MACE, the MI, stroke, and
5 whatnot, but I think we come to an area now where
6 we have some -- who knows what mechanism to
7 decrease cardiovascular mortality through a
8 different mechanism. So that's my one comment.

9 My second comment is, recently there's been
10 a paradigm heart failure study looking at ENTRESTO
11 and their primary outcome was death and CHF
12 hospitalization, which is an accepted endpoint for
13 heart failure trials. They redid the analysis
14 looking at a softer heart failure endpoint, as was
15 done in EMPA-REG, visits to the ER, increase in IV
16 diuretics. And not surprisingly, the death rate
17 with these soft endpoints was also reduced with
18 ENTRESTO.

19 So I think that in the light of 30-day
20 readmission and people trying to get patients not
21 admitted to hospitals, looking at these sort of
22 24-hour emergency room visits is not out of

1 ordinary. And we will be seeing this more and more
2 throughout other trials.

3 DR. SMITH: So I would like to summarize
4 very briefly, perhaps not inclusively, on the
5 discussion we've just had. And so what I heard was
6 expressions of concern in regard to the various
7 issues such as changes in protocol, endpoint
8 definitions, analysis plan as posed in this
9 discussion by the -- for this question by the FDA,
10 that that presents concerns and issues in terms of
11 interpretation of the data.

12 A couple members of the committee expressed
13 a high level, or a pretty high level, of confidence
14 in the conclusion that excess cardiovascular risk
15 was excluded, and that wasn't opposed by comments
16 from other members of the committee.

17 In regard to cardiovascular benefit, there
18 was a lot of discussion. There's substantial
19 concern expressed by various members of the
20 committee. One of the points that was raised was
21 that the fact that there's some uncertainty in
22 regard to the MACE 3 primary outcome raises some

1 fundamental questions about the amount of emphasis
2 that should be placed in interpreting the
3 cardiovascular mortality data. That was not a
4 universally expressed opinion. There was
5 controversy on that from within the committee.

6 Certainly, there were comments made on the
7 importance clinically and the clarity of definition
8 of mortality, all-cause or cardiovascular
9 mortality. There were issues raised about the non-
10 assigned deaths that then ended up as presumed
11 cardiovascular mortality. That's an issue, but it
12 was noted that, in that regard, that all-cause
13 mortality still upholds significance, and that was
14 somewhat reassuring.

15 Another point that was made, which is one of
16 the overriding issues that adds to some of the
17 difficulty of interpreting these data, is that we
18 haven't been presented with a plausible mechanism
19 for the effects of this drug on cardiovascular
20 mortality.

21 So that's my summary. Did I leave out
22 anything critical that people would like to state?

1 (No response.)

2 DR. SMITH: I think we'll move to discussion
3 question 2.

4 Dr. Rosenberg, you had a comment?

5 DR. ROSENBERG: Yes, just a slight
6 modification on your last point. The mechanism of
7 action may probably not on atherosclerosis because
8 it's very early and we don't have a clear
9 explanation of this early benefit.

10 DR. GUETTIER: Can I get just a little bit
11 more clarification, Dr. Smith? It's Jean-Marc from
12 the FDA. So this trial, to some extent, was a
13 child of the 2008 guidance and a lot of the issues,
14 at least in the conduct that we sort of came about,
15 came out because actually this is really a safety
16 trial.

17 I think I heard sort of at least the
18 specific discussion point was really focused on
19 whether or not what we presented this morning in
20 terms of the changes. What is your level of worry
21 about the quality of the data that comes out of
22 this trial? A lot of the discussion, actually,

1 from this discussion point, were more towards
2 discussion point 2 and 3, but in terms of the level
3 we heard from Dr. Konstam, I was wondering if
4 anyone else had any other comments on that.

5 DR. SMITH: Would anyone like to respond to
6 that? Yes, Dr. Rosenberg?

7 DR. ROSENBERG: I think I have no concern
8 about safety.

9 DR. EVERETT: I'll answer.

10 DR. SMITH: Dr. Everett, I got the wrong
11 person there.

12 DR. EVERETT: My confidence in the mortality
13 data is reasonably high. My confidence in some of
14 the other endpoints, myocardial infarction and
15 heart failure in particular, is much lower. And
16 I'd be very wary about -- because of the design
17 issues we've discussed, we haven't really even
18 addressed the heart failure issues at length yet in
19 this discussion, but that would be my short answer
20 to your question.

21 DR. SMITH: I might give a longer answer,
22 which is that I -- so the 2008 guidance includes

1 within it language that focuses on MACE or a
2 MACE-plus. And when we look at those specific
3 endpoints that were within the 2008 guidance, and
4 then if we look at an extension to an efficacy
5 trial, we obviously encountered some uncertainty
6 with the data within this study.

7 Depending on how we choose to analyze,
8 include, or exclude in terms of the analyses of
9 some of these factors that were raised as concern,
10 not only MACE 4 but MACE 3 comes under question.
11 So if we simply look at the simple extension of the
12 2008 guidance into an efficacy superiority trial
13 rather than a non-inferiority trial, we have
14 encountered some issues in the context of this
15 study.

16 We happen to have had another event happen
17 here, which is that we have this rather dramatic
18 cardiovascular mortality data. And in a way it's
19 sort of on and off topic to me in terms of your
20 question because that's a component of a MACE, but
21 instructions aren't clear from that 2008 guidance.

22 So I think there are complexities in trying

1 to move from a guidance that was generated to help
2 in the design of studies with a primary goal of
3 establishing safety to something that is looking at
4 superiority, and we've encountered some of those
5 difficulties. I don't know if that's helpful.

6 Yes?

7 DR. KEWALRAMANI: From the perspective of
8 the design of trials, whether the original intent
9 was the exclusion of the hazard ratio of 1.8 or
10 1.3, or the construct of such a trial for
11 superiority over standard of care, I think that the
12 study here was powered. While there were changes,
13 these changes were made apparent.

14 There was a CEC involved, and I think
15 whether or not silent ischemia was in or should
16 have been in, whether it was designed as a safety
17 study or efficacy study, that would have been the
18 same. And the non-assessable -- I think, while the
19 numbers may be higher than we would like, I don't
20 think that that is a function, per se, of safety
21 study for the exclusion of a 1.3 or 1.8 or for the
22 demonstration of superiority.

1 So I think if we really look under the hood
2 of this study, it would look like a study that we
3 would design for the purposes of demonstration of
4 cardiovascular morbidity and mortality.

5 DR. SMITH: So, I would like to move to
6 discussion question 2. I'll read this. Please
7 discuss the persuasiveness of the statistical
8 results for the primary analysis. Please also
9 comment on how results for the individual
10 components in the primary composite endpoint impact
11 your level of confidence in the study findings.

12 Finally, comment on concerns you may have
13 related to potentially incomplete ascertainment of
14 some myocardial infarction events, i.e. silent MI,
15 in this trial, and whether these concerns, if any,
16 alter your level of confidence in the results for
17 the primary analysis.

18 So we've obviously in part discussed this,
19 but let's briefly at least revisit those issues.
20 Yes, Dr. Hiatt?

21 DR. HIATT: I thought a little bit more
22 clearly I think in terms of trying to frame the

1 issue. So you come into this with a guidance and
2 the idea that we're trying to exclude the risk of
3 MACE and now show that we have superiority on MACE.
4 And if you think about the history around that,
5 around drug trials using anti-thrombotic agents or
6 statins, really what drives that historically is a
7 reduction in fatal and non-fatal myocardial
8 infarction.

9 That's how you sort of come into this. And
10 when you then go back to the primary MACE endpoint,
11 which is on a single pivotal, not very convincing,
12 and then look at a couple of other analyses, MACE
13 on-treatment, which should be a little stronger,
14 has an upper bound of 1.02, MACE-plus silent MI,
15 upper bound of 1.06.

16 Fatal and non-fatal MI, you know the thing
17 that you think would drive it, has an upper bound
18 of 1.09. And there's no benefit on stroke,
19 combined with the idea that I think we get to CV
20 death as a post hoc exploratory analysis. So
21 that's the problem I'm having with this.

22 So in some ways, I think, Michael, you've

1 described this, you sort of have to sort of set all
2 that aside and say it's just CV mortality, that's
3 it. And I don't know if there's a mediation
4 analysis or something looking at what's driving
5 that. I just don't think we have a clue.

6 It doesn't look like it's the kind of
7 mechanisms that most cardiovascular outcome trials
8 are designed to test, which is plaque rupture and
9 thrombosis. I don't think it's that. It might
10 have something to do with that, but it's driving
11 mortality down for some other reason.

12 I think that's where my discomfort with
13 trying to wrestle with what to do here, is really
14 strong because starting out this discussion, I
15 couldn't get to CV mortality very easily because
16 you went on MACE and then there's nothing beyond
17 that that really logically takes you to a
18 hierarchal analysis to move into the components.
19 But you sort of say you're just being awfully
20 technical, and sort of acting like what I learned
21 from you guys, Norm and Bob, on cardio renal, so
22 I'm a little hung up on this point.

1 But that's the problem here. Because it's
2 mortality, you said what the heck? Just throw all
3 that other stuff out and it's just death, and
4 nothing else really matters. But then, if that
5 were confirmed by another agent in the class, say,
6 wow, there's something going on here, and maybe
7 we'll be smarter in five years and figure out what
8 the mechanism is and say we should have thought
9 about that, but it certainly makes sense.

10 The history of statins were sort of getting
11 on the market because they changed a surrogate.
12 And then suddenly, they show cardiovascular benefit
13 later and things came together. So there's
14 precedent for that. But I think the statistical
15 part of this is weak. I just don't think it gets
16 me to this single-component analysis.

17 In that regard, I'm sort of left with just
18 saying, I don't know, I'm not convinced, but
19 there's a CV mortality. And it's just hard to kind
20 of get your arms around that in some rational way.

21 DR. SMITH: Dr. Neaton?

22 DR. NEATON: Let me just ask a question

1 about the history and the focus on MI. So my
2 history and trying to discern whether a person died
3 from fatal MI or sudden death, that's very hard.
4 So they've actually classified the deaths by fatal
5 MI, so when they look at fatal versus non-fatal MI,
6 I'm assuming that's what they're using, that
7 classification.

8 But presumably there are sudden deaths in
9 these not accessible deaths that are being judged
10 cardiovascular that would probably fall into that
11 category. I mean, the fatal/non-fatal MI results
12 are trending in the right direction at least, the
13 same as the overall outcome. So I think the
14 overall -- my summary of this is that you're on a
15 very fragile area for the primary MACE outcome.

16 I don't know, Marv, how I would react if it
17 was 0.06 or 0.07 versus 0.03. I think the range of
18 p-values we're seeing is somewhere in the range of
19 maybe 0.02 to 0.10, based on the various
20 sensitivity analyses. But it's being driven by an
21 outcome that really makes a difference. And every
22 way you look at that outcome, it adds up as having

1 an impact on mortality.

2 So I don't think you can ignore it is the
3 way I think about it. And you should view these
4 p-values as giving you some strength of the
5 evidence and not getting locked in on the magic
6 0.05.

7 DR. SMITH: Dr. Konstam?

8 DR. KONSTAM: Well, first of all, everybody
9 I listen to changes my mind.

10 (Laughter.)

11 DR. KONSTAM: So be careful what you say.
12 But just as a point, I want to sort of go after
13 this point about, well, this is mortality. This is
14 really important.

15 I think the question in front of us is do we
16 believe the finding. I mean, that's the question.
17 It is not this is a really important finding,
18 therefore we ought to believe it. I mean, that's
19 backwards. Right? We ought to first decide do we
20 believe it, and then we decide that it's important.

21 I think that the issue around, when we get
22 to it, about the cardiovascular mortality -- so

1 first, let me just say, this is a drug that's
2 already approved. Okay? There's a paper in the
3 New England Journal about this that says that the
4 drug reduces mortality. So we're not talking about
5 approving the drug or not approving the drug. It's
6 already in the academic literature that that's what
7 it does. It reduces mortality.

8 The question here is, is the FDA going to
9 approve it for that indication, for that goal. And
10 the way I think the FDA thinks about this in its
11 core is, do I believe the finding or not. Is this
12 something that we agree with so strongly that we're
13 going to say to the prescribing public this drug
14 reduces cardiovascular mortality? So I think that
15 discussion is independent of this is a really
16 important endpoint, to me.

17 DR. SMITH: Dr. Budnitz?

18 DR. BUDNITZ: I was going to add that the
19 statistical analyses that most intrigued me was
20 actually the on-treatment analyses, which seemed to
21 make no difference for the endpoints in MACE of MI,
22 and if I'm recalling, I think stroke. But where

1 they did make a difference, and I think the about
2 25 percent discontinuation rate, so I would expect
3 there would be more efficacy for the on-treatment
4 subgroup.

5 It did show up for cardiovascular mortality,
6 where the overall rate of hazard ratio I think was,
7 for example, on the order of 0.66. But on
8 treatment, it was 0.52. So for me, that was
9 reassuring that this drug might do something, and
10 it was notable that the on-treatment results were
11 completely the same for the other MACE endpoints.

12 DR. SMITH: Dr. Schambelan?

13 DR. SCHAMBELAN: I just wanted to add, I did
14 look up the data for LIFE and the p-value was
15 0.021, but the point estimate was almost identical
16 to what -- this is for the MACE outcome -- was I
17 think 0.85 or 0.86, very similar to what we saw
18 here. So I don't know, Marv, how you feel about
19 going from 0.02 to 0.04 to 0.07.

20 DR. KONSTAM: I don't know.

21 (Laughter.)

22 DR. KONSTAM: I think -- like I'm trying to

1 be rigorous with what I've learned from the FDA
2 over the years they want. Right? That's all. And
3 what they want is two studies. And if they don't
4 have two studies, they want to feel good that they
5 still have the right answer. And one of the
6 important things they look at is how low is the
7 p-value.

8 So, I just ask the question, if the p-value
9 of the primary isn't that low, how do you know it's
10 right, okay, with a single trial, and does that
11 affect your willingness to approve it for a
12 component of the primary endpoint. That's what
13 they did. Maybe they need to explain themselves,
14 but that's what the issue is.

15 DR. SMITH: Further discussion on this
16 point? Yes?

17 DR. YANOVSKI: So I'm really struck by the
18 fact that the evidence I'm hearing doesn't seem
19 like this is driven by a reduction in
20 atherosclerotic cardiovascular disease in terms of
21 the reduction in those MACE components, in terms of
22 the timeline. We don't really know the mechanism,

1 but where you're really having your bang for the
2 buck is in this really robust reduction in
3 cardiovascular mortality.

4 I was reassured by the fact that even when
5 you did the sensitivity analysis, not looking at
6 those where you couldn't really -- where you didn't
7 know if it was cardiovascular or not, it didn't
8 really change your findings. So everything I'm
9 hearing tells me that this is a real finding. We
10 don't really know why. We don't know the
11 mechanism. Do we need to know the mechanism to
12 approve the indication? I guess that's the
13 question.

14 DR. SMITH: Dr. De Lemos?

15 DR. DE LEMOS: Yes. I think just to
16 summarize, there's some excellent points. I think
17 that I'm more persuaded by a weak p-value for the
18 primary endpoint and a really robust p-value for
19 the mortality endpoints than I would be for two P
20 0.02s for both. I think that's what -- some people
21 would be happier with that result in terms of
22 allowing the drug to get a cardiovascular

1 indication.

2 I'm also very favorably influenced by the
3 multitude of influences on blood pressure and
4 favorable influences on renal function and heart
5 failure that, while by no means conclusive and
6 terribly limited, all line up in the right
7 direction. The non-fatal effects on myocardial
8 infarction -- with the exception of stroke,
9 everything lines up in the right direction to
10 support a cardiovascular mortality benefit that's
11 favorable.

12 It's not conclusive, but then what's really
13 buttressed is the all-cause mortality. It's not
14 going to be the indication, but as Brendan said, I
15 think that's what gives you -- that markedly
16 increases my confidence in the CV mortality
17 finding.

18 DR. SMITH: Yes, Dr. Good?

19 DR. GOOD: Yes, since stroke was just
20 mentioned here by my colleague, perhaps we should
21 talk about that just for a minute. I think the
22 most important thing is that there wasn't any

1 statistically significant difference in HR between
2 the treatment groups and the placebo, and that's a
3 fact.

4 I think there's a very soft signal that
5 perhaps there's a slightly higher risk of stroke,
6 but I think the point is that it was across the
7 board, across all stroke pathophysiologies was
8 pretty interesting. It's lacunar stroke, it's
9 cardioembolic stroke, it's atherosclerotic stroke.
10 Maybe that kind of feeds into this mechanism issue
11 as well.

12 I have a little bit of concern about whether
13 there is a regional difference in diagnosis of
14 stroke. I have no idea why the stroke incidence
15 was higher in Europe than it was in other areas,
16 and the sponsors had no idea either. I'm not quite
17 sure what to do with that.

18 Regarding the TIA issue, a TI is usually
19 considered in the same category as ischemic stroke.
20 It's a little bit harder to diagnose because there
21 are many causes of TIAs. They're not always
22 ischemic stroke. I'm not sure about rolling that

1 in with ischemic stroke and looking at then how
2 that changes the HR, whether that's really legit or
3 not.

4 I think in the end, I'm not terribly
5 concerned about the stroke, the non-fatal stroke.
6 I do think that there's a little signal we have to
7 think about, but I don't think we should focus
8 extensively on that point.

9 DR. SMITH: Yes, Dr. Fradkin?

10 DR. FRADKIN: Thanks. I agree with Dr.
11 Yanovski that the fact that we don't know the
12 mechanism shouldn't be a reason not to approve it.
13 Clearly, it's something different than
14 atherosclerosis just based on the time course,
15 based on the fact that we started to see the lines
16 diverge from the very beginning. I think we would
17 have to be looking at some other mechanism,
18 something about survival of an MI rather than
19 atherosclerosis.

20 To me the entire issue though boils down to
21 whether when it's one of multiple secondary
22 endpoints, are we sure, based on the fact that the

1 death, robust as it looks, is a secondary endpoint.
2 Can you approve it with one trial? And that to me
3 is the crux of the question.

4 DR. SMITH: Anyone have a specific comment
5 in regard to a component of this discussion point
6 in terms of the silent MI? We've already talked
7 about this extensively, but any further comment
8 that anyone -- Dr. Hiatt?

9 DR. HIATT: Yes, I sort of added that in my
10 earlier comments. But I think there are a number
11 of things that make the p-value dance around 0.05
12 for the MACE primary, and that's all it does. I
13 think we talked a lot about it earlier that it's
14 probably not reliable. It's probably mostly noise.

15 But, you know, the on-treatment analysis
16 takes it to non-significant, and the
17 fatal/non-fatal MIs are not significant. So I
18 think it's all kind of telling us that this is not
19 having a profound effect on ischemia per se, it's
20 probably something else. And that's all I've
21 learned from that discussion. I don't think it's a
22 fatal flaw.

1 DR. SMITH: Yes, Dr. Proschan?

2 DR. PROSCHAN: Yes. I absolutely agree that
3 the evidence for MACE 3 is not that strong and you
4 don't have to do much to tip it the other way. And
5 I am aware of the fact that there have been other
6 trials where you see a very small p-value on a
7 secondary endpoint, you try and repeat it, you
8 can't repeat it.

9 Again, my explanation for that is that there
10 is a lot more multiplicity than you think. If you
11 had seen that the 10-milligram dose had shown a
12 really strong benefit, you would have emphasized
13 that. So there's a whole lot more multiplicity
14 than you think.

15 However, I still believe that, based on the
16 evidence that we've seen, that the mortality
17 finding, cardiovascular mortality is real. Now is
18 this estimate of the hazard ratio biased?

19 Absolutely, yes it is. So it's not going to be as
20 strong as what's indicated here. I feel pretty
21 confident about that. But I do also feel pretty
22 confident that it's not a hazard ratio of 1, it's

1 less.

2 DR. SMITH: Dr. Rosenberg?

3 DR. ROSENBERG: I would slightly disagree
4 with Dr. Fradkin. When we're talking about a
5 secondary endpoint that is strongly positive like
6 it is observed, you really would like to have a
7 mechanism to understand why it's such. That's the
8 only thing I would add.

9 DR. SMITH: So I might summarize that in
10 responding to this question, we a little bit
11 tracked over the same set of opinions and views
12 that have been presented with the first discussion
13 question, which is that there is concern or
14 uncertainty about the primary combined MACE
15 endpoint. And that's affected by a number of
16 variables, including looking at the individual
17 components of that, which further highlight the
18 issue that we don't really understand the mechanism
19 of the effect on mortality.

20 Again, we heard a divergence of opinions
21 from the committee in terms of the mortality data.
22 And that is not so much questioning what those data

1 are, but the actual strength of that as a single
2 study result. And I think with a greater
3 acceptance of that as a strong indicator of benefit
4 by some members of the committee and much stronger
5 expressions of uncertainty about other members of
6 the committee as to whether that in fact would be
7 reproduced in a second trial.

8 Anyone want to add anything, or FDA settle
9 for a quick summary on that point?

10 (No response.)

11 DR. SMITH: So we'll go to the next
12 discussion question. Again, we've covered some of
13 these points, but this I think will bring back some
14 emphasis on others. Discuss the persuasiveness of
15 the mortality findings in the EMPA-REG OUTCOME
16 study.

17 In your discussion, please address any
18 potential limitations of these data, including but
19 not limited to issues raised in discussion point
20 number 2, the proportion of deaths that were
21 determined non-assessable by adjudicators, the lack
22 of granular data on potentially important

1 information such as baseline heart failure history
2 and dose of relevant baseline and concomitant
3 medications, and the lack of prespecified alpha
4 adjustment for this endpoint.

5 So I'll open that for comment. Yes,
6 Dr. Konstam?

7 DR. KONSTAM: Actually, I have new
8 information to bring to the group, okay, because
9 I've been working here. So with regard to this
10 question, most of my focus has been on lack of
11 prespecified alpha adjustment for this endpoint,
12 and I think there's really concern about that.
13 Now, I want to say something, a few things on the
14 positive side.

15 There are things about this that drive you
16 toward -- help me get a little bit more comfortable
17 that this is a real finding. One is the very low
18 p-value. The second is the number of events, okay,
19 and I'll get back to that in a second, 309 events.
20 I'm focusing on the cardiovascular death endpoint,
21 so 309 events.

22 I'm also influenced by the fact that you

1 could argue there's sort of two trials in here
2 because there are two different doses, and both of
3 those doses show the same thing. So that actually
4 is the one piece of information in the dataset that
5 moves me along in thinking about this.

6 I looked up three trials where there was
7 something like this, that is, there was a mortality
8 endpoint that jumped up and wasn't expected either
9 because it wasn't the primary, it was a subset
10 analysis, some secondary analysis or something.

11 The first one was PRAISE with amlodipine.
12 And the finding was that in dilated cardiomyopathy
13 patients as opposed to ischemic heart disease
14 patients, it reduced mortality. That finding was
15 not confirmed subsequently with a larger trial.

16 In that trial, the first one, the PRAISE
17 trial, there were a total of 103 events compared to
18 what we have here, which 309 events. It was
19 associated with a pretty low p-value. They just
20 quoted as less than 0.001, so the p-value didn't
21 help very much, but maybe the fact that it was a
22 third as many events may influence us.

1 The second one is with losartan against
2 captopril. There was an ELITE trial for which the
3 primary endpoint focused on renal function
4 comparing captopril and losartan, but a mortality
5 benefit for losartan popped out of nowhere.

6 So there, first of all -- by the way, in
7 both of these trials, in the first PRAISE trial and
8 in the ELITE trial that I'm mentioning, the primary
9 endpoint was non-significant. Okay? That's
10 another point. But this finding with losartan
11 versus captopril was not replicated in ELITE II.
12 In ELITE, the p-value was only 0.035, and there
13 were only 49 events.

14 The third example is the carvedilol trials
15 in the mid-90s where there was a huge amount of
16 controversy about whether the mortality data should
17 go into labeling for the drug because the finding
18 was a composite of four different trials, none of
19 which was designed to look at mortality. That one
20 was approved after a second panel with 53 events.
21 Why was that approved?

22 (Laughter.)

1 DR. KONSTAM: Fifty-three events and a
2 p-value of less than 0.001. And then, actually,
3 Bob mentioned the LIFE study. Well, that's
4 different because it didn't show mortality benefit.
5 I won't talk about that.

6 My take home out of this is that if you look
7 at all of the information in those three trials,
8 none of them were as robust as what we've got in
9 terms of both the p-value and the number of events.
10 So I think those compared to these other findings
11 that turned out not to be true looks a little
12 stronger.

13 DR. SMITH: Any other discussion of this
14 question? Dr. Thomas?

15 DR. THOMAS: First, I just wanted to echo
16 what Dr. Cho mentioned, which is, at least in the
17 United States, I don't know about the rest of the
18 world, with observation status being required by
19 Medicare, and many insurers also having that, you
20 have to include those patients, even if they're not
21 hospitalized because you're very unlikely to get a
22 straightforward heart failure patient being

1 admitted nowadays in a hospital that has
2 observation care because they can only get
3 readmissions. And with the new insurance rules,
4 you won't be able to get them hospitalized.

5 So it is something probably future trials
6 will have to look at because otherwise, if you just
7 go by hospitalizations, you're going to miss all
8 these cases.

9 That's an important point and it addresses
10 this heart failure issue. This wasn't set up to be
11 a heart failure trial, so in a way it doesn't
12 completely matter if all the data is not as
13 complete or rigorous as it would be in a heart
14 failure trial. All the other cardiovascular
15 information is more persuasive in terms of
16 cardiovascular death.

17 Then the last thing is we don't have a
18 mechanism. But if you think about mechanisms, not
19 glucose, because with glucose, we've had large
20 trials that have not shown glucose to work,
21 atherosclerotic is unlikely. It's so quick.
22 Right? Blood pressure, it had a blood pressure

1 effect, but it's still pretty quick. We should see
2 similar effects of blood pressure agents.

3 One thing we've been skirting around -- and
4 I think the reason I bring this up is hopefully, if
5 there is a trial that's done or other analysis
6 probably needs to be looked at, is we've been
7 mentioning the word dead in bed a lot. And that
8 usually relates to people who have autonomic
9 neuropathy, poor RR variability, and that's not
10 something that was probably looked at in this trial
11 but is something we probably should address.

12 Is there some effect of these agents on
13 autonomic neuropathy or some other surrogate that
14 is having an impact on sudden death or arrhythmias?
15 We won't need the mechanism right now; shouldn't
16 preclude us from approving something or not
17 approving something because, you know, aspirin was
18 around for 80 years without a mechanism.

19 DR. SMITH: Dr. Budnitz?

20 DR. BUDNITZ: I think the concern I have
21 about the mortality finding relates to the third
22 bullet there, the lack of granular data on

1 potentially important information based on heart
2 failure and concomitant medications, particularly
3 because so much of the data come from outside the
4 U.S., like 80 percent outside North America. And
5 we just don't know what the -- or at least I don't
6 know what the treatment and baseline
7 characteristics of these folks are, whether it's
8 diet, whether it's the dose and duration of other
9 medications, particularly when we don't have a
10 mechanism.

11 So it's not an issue of statistical
12 interaction. It's an issue of generalizability
13 where I'm not quite sure what these folks look
14 like, if they look like the patients that are being
15 treated in the U.S.. And so this lack of
16 information about if the mechanism is some sort of
17 hemodynamic and heart failure mechanism, we don't
18 have information about what medicines folks are
19 taking for heart failure and their baseline echoes
20 or other characteristics.

21 DR. EVERETT: Dan, could I just ask you a
22 follow-up? Are you worried that the randomization,

1 that those issues are not balanced on either side
2 of the randomization? Or what are you worried
3 about? Because to me, that's a concern in terms of
4 defining mechanism, but you have a randomized
5 trial, so theoretically at least, they should be
6 balanced.

7 DR. BUDNITZ: Right. And so it's not that
8 there's no effect, but the magnitude of the
9 difference. So for example in Asia, the hazard
10 ratio was 0.3. In the U.S., it was 0.8 for
11 cardiovascular mortality. So you can do a test for
12 interaction, and that can be negative, but
13 clinically I think that might be different. And so
14 I do think that there's the potential for there to
15 be differences by region. Does that make sense?

16 DR. SMITH: Dr. Proschan?

17 DR. PROSCHAN: Not to throw another
18 statistical issue in, but people have talked about
19 trying to repeat a result and you don't get the
20 result the second time. That's not necessarily
21 saying that it wasn't true, right, because you have
22 two trials. One says there's something going on,

1 the other one says there's nothing going on. You
2 don't know what the right answer is still.

3 Moreover, when you do the second trial, what
4 people usually do is they say let's assume the
5 treatment effect that we saw in that last trial,
6 and let's power it for that. That treatment effect
7 is going to be biased because you did notice that
8 because it was a secondary outcome, and, wow, look
9 at this. So that treatment effect is almost surely
10 going to be too strong. That's not really what the
11 true effect is. So really, you should take that
12 into consideration.

13 Then, when we stupidly a lot of times power
14 trials for 80 percent, which means even if you're
15 correct that the treatment effect was what you saw
16 last time, you have a 1 in 5 chance of not getting
17 a significant result. So the fact that another
18 trial didn't confirm it doesn't really tell you
19 that it's not true.

20 DR. SMITH: Dr. Palevsky?

21 DR. PALEVSKY: A couple thoughts. As a
22 trialist, we use composite endpoints because we

1 don't think that any one of the individual
2 endpoints in the composite is going to have a high
3 enough event rate for us to have a significant
4 outcome with a trial that is going to be feasible
5 to conduct.

6 If we were to ask a patient what's important
7 and ask them the hierarchy of the endpoints in the
8 composite in either MACE or MACE 4, I think I'm
9 just going to speculate that they'd put mortality
10 at the top of that list and take non-fatal events
11 as of lower importance.

12 So although I have concern over the fact
13 that the mortality is moving in a different
14 direction from the overall composite, which may or
15 may not have quite reached the p-value of 0.05, I
16 am quite impressed by that. I don't think that the
17 sponsor would have dared propose a trial just
18 looking at cardiovascular mortality.

19 That said, I am a little bothered by the
20 lack of the mechanism. And one concern, not so
21 much the difference in the co-management at
22 baseline, but could there be some effect of

1 interventions after the patient's on trial, either
2 alternate management of glycemic control that may
3 have diverged because this is a hypoglycemic agent
4 and what are the co-management? Or diuretic
5 management since it does have a diuretic effect?

6 One of the questions that I have is, what
7 were the impacts of the co-management on the trial
8 on this mortality outcome? And could we be missing
9 something that it may not be entirely the benefit
10 of this agent, but a mixture of benefit of this
11 agent and some detriment to the co-management that
12 was occurring?

13 DR. SMITH: Yes, I think that's an
14 interesting comment. And just to sort of expand on
15 that a little bit what some of those factors
16 potentially might include would be the difference
17 in hemoglobin A1c, which was modest, somewhere in
18 the range of 0.5 percent, plus or minus difference,
19 depending on how you choose to look at the data.

20 There was a difference in blood pressure
21 that again was only a few millimeters of mercury,
22 but it went in a direction downward, which one

1 might think would be favorable. And there was a
2 difference in additional medications used to manage
3 glycemia, even though the glycemia wasn't as
4 effectively managed so there was more insulin and
5 there were more sulfonylureas.

6 I find it difficult to focus on any one of
7 those, and not even particularly comfortable to
8 pool them all together and say, "Ah ha, there's the
9 explanation." But the fact is they do again add a
10 little discomfort. It's another version of the
11 mechanism argument. It adds a little discomfort to
12 the strength to place on the mortality data
13 themselves. Dr. Thomas, you had a comment?

14 DR. THOMAS: So I think those are all
15 important points. Actually, when the guidance
16 first came out and the way these trials are
17 designed, physicians have the judgment in these
18 trials to adjust medications as they feel fit.

19 There's no predefined protocol as in other
20 trials. So, for example, in most trials like
21 ACCORD, you had a target. So if you blood pressure
22 didn't hit that target, you had to do something,

1 either to increase the medication, you just
2 couldn't sit as is.

3 Here, there is no guidance. You just do
4 what's the norm for your community. So the fact
5 that actually anything shows up amongst all this
6 noise of treatment is to me actually quite
7 astounding.

8 I actually was very surprised that anything
9 would show up in these trials beyond
10 non-inferiority because there's so much noise in
11 the management. Someone could up the statins,
12 someone could not up the statins. They're not
13 treating towards our guidelines or treatment. They
14 should, but there's nothing requiring anyone in any
15 of these management of the patients, to do anything
16 other than give the placebo or the drug.

17 DR. SMITH: Dr. Li-Ng?

18 DR. LI-NG: I just wanted to point out my
19 general concern about the indication that's been
20 sought by the sponsor. The SGLT2 inhibitors are a
21 relatively new class of medications that have been
22 added to our diabetes treatment regimen.

1 In regards to some of the studies that Dr.
2 Konstam had brought up, there were other studies
3 that showed some benefit in terms of ACE inhibitors
4 or ARBs or beta blockers to add the indication for
5 cardiovascular event prevention.

6 My concern is that given this one study
7 again showing very persuasive evidence that there
8 is a decrease in cardiovascular mortality and all-
9 cause mortality, I can't -- again, my concern is
10 that this is one study in a new class of
11 medications and we haven't seen any other studies
12 showing the same thing.

13 DR. SMITH: So what I would like to do is
14 summarize what's been said so far, and any critical
15 additional comments can be made. I'm anxious to
16 move us along because we have two more discussion
17 questions and two voting questions and I don't want
18 to be rushed at the end here.

19 So in regard to this discussion question,
20 again, we've a little reiterated some of the same
21 issues. We've heard some arguments about the
22 persuasiveness of the mortality data in terms of

1 the very low p-value itself, the substantial number
2 of events in this trial, particularly in comparison
3 with several historical trials, and the fact that
4 the two doses of the drug showed really very
5 similar effects on the mortality endpoint.

6 We, again, members of the committee
7 expressed concern about a non-understanding of
8 mechanism. And the suggestion was made in regard
9 to potential effect on autonomic nervous system and
10 the relationship between disturbed autonomic
11 signaling and sudden death.

12 But also the point was made that it may not
13 be essential to know the mechanism in order to
14 place strength in the mortality data. And in that
15 same context, the point was made that among all the
16 elements of the composite endpoint, the one that
17 clinically certainly would be viewed as being the
18 most important is the mortality data itself.

19 Again, in regard to how the FDA may consider
20 responding to these mortality data, the point was
21 made that this does represent the first member of a
22 drug class. So again, it's another version of

1 being a single study, one might say. I don't want
2 to open a bag of worms with that comment.

3 Any other comments before we move on? So
4 let's go to the next discussion question. And I
5 don't want to short-change any of these questions,
6 but I'd like us to try to be a little bit brief so
7 we have adequate time to deal with the voting
8 questions.

9 So this question, number 4, discuss the
10 heart failure findings in the EMPA-REG OUTCOME
11 study. Please comment on the potential limitations
12 of these data, if any, and on whether the results
13 of this study establish a benefit of empagliflozin
14 on heart failure and heart-failure-related
15 outcomes. Yes, Dr. Konstam?

16 DR. KONSTAM: This one, I have a bigger
17 problem with. Okay? So it's nowhere to be found
18 in the hierarchal testing. It's not a component of
19 the primary endpoint. The p-value is not as
20 impressive. So as has been said, you really have
21 no idea what it really is. It's nominally 0.0017,
22 but given the multiplicity involved here, it's

1 really undeterminable. And for what it's worth,
2 it's not death.

3 I'd like it to be true. I kind of think it
4 probably is, but it doesn't reach my standard of
5 provability.

6 DR. SMITH: I'll try Dr. Thomas.

7 DR. THOMAS: I would just add to that and
8 then FDA can correct me if I'm wrong. If this was
9 being done as a heart failure study, this agent
10 would have to be an add-on to currently approved
11 standard of care. We have no idea what was really
12 being done throughout this trial. So it's an
13 intriguing finding, and if it really needs to be
14 explored, it probably needs to be explored in a
15 different study that's formally done for heart
16 failure.

17 DR. SMITH: Dr. Everett?

18 DR. EVERETT: I just want to preface my
19 comments with the fact that heart failure is a very
20 prevalent problem. It's an important problem.
21 It's increasingly important. And to echo Dr. Cho's
22 comments earlier about a shift in the way that

1 these patients are managed from hospitalization, to
2 outpatient treatment units, to potentially
3 even -- I have an email in my inbox about a
4 randomized trial of treating them at home. So
5 there's a lot of impetus to try and treat these
6 patients outside of the typical healthcare setting,
7 so it's an important endpoint.

8 But, when you have a definition that shifts
9 over the course of the trial and is not anywhere
10 near close to the primary endpoint, to echo Marv's
11 points, or a component of the primary endpoint, my
12 willingness to accept these results a priori, I
13 think, without the benefit of a second heart
14 failure-focused trial where done by investigators
15 practiced in the art of doing those trials, which
16 are very challenging, I'm reluctant to accept the
17 results at face value.

18 DR. SMITH: Other comments? Yes, Dr.
19 Palevsky?

20 DR. PALEVSKY: So I will echo the comments
21 about the rigor. And just another point to
22 remember, this is an agent that is a diuretic as

1 one of its mechanisms of action. And we just need
2 to bear that in mind that it's going to have an
3 effect on volume management that may not have
4 been -- you know, basically the patient was on a
5 higher dose diuretic than otherwise considered.
6 I'm not very impressed by the heart failure data.

7 DR. SMITH: Dr. Wilson?

8 DR. WILSON: Just a follow-up. I think we
9 lack modern metrics, and we need some new metrics
10 for this. And perhaps, for instance the person who
11 goes into the ED observation unit and goes home,
12 that's one hospital day, if that, versus those on
13 the wards, we have hospital days, D-A-Y-S, plural.

14 So that's another thing to think forward,
15 because this is going to keep coming back to these
16 sorts of committees, what is the heart failure
17 metric in 2017, 2018, 2019. So one of them is
18 days. The other is major changes in outpatient
19 doses. But I still go back to some sort of symptom
20 complex and weight loss with the diuresis over a
21 short term. We need better metrics for evaluation
22 of this outcome moving forward.

1 DR. SMITH: Yes? Dr. Proschan?

2 DR. PROSCHAN: So I agree that I think the
3 results are not nearly as compelling for heart
4 failure. But I also wonder why is this even a
5 question if they are no longer asking for that,
6 anything to be said about heart failure.

7 (Laughter.)

8 DR. SMITH: Well, I think the FDA poses
9 these discussion questions not limited to a
10 specific indication that may be under
11 consideration, but something that may help to
12 inform them in a background way for that decision,
13 but also in terms of future issues. This is a drug
14 where there may be a future heart failure study,
15 for example. So I don't think they're restricted
16 to working around their indication request.
17 Dr. Rosenberg?

18 DR. ROSENBERG: So maybe I'm old fashioned,
19 but a change in class of heart failure is something
20 that we used to look at and we don't even have
21 baseline here, so it's hard to say anything.

22 DR. SMITH: Dr. Cho?

1 DR. CHO: I just want to reiterate that this
2 is clearly not a heart failure trial and they
3 didn't even have New York Heart class association
4 as part of the trial. But I just want to -- I
5 mean, it's a very interesting finding, and I want
6 to encourage the Boehringer Ingelheim group to
7 study it in the heart failure patient population
8 because it would be so interesting to look at it in
9 that particular population.

10 DR. SMITH: To summarize what's been said so
11 far here, committee members have expressed the view
12 that this is an important clinical endpoint. I'll
13 add to that, we haven't talked in detail here in
14 this discussion about the prevalence of heart
15 failure within type 2 diabetes, but it's high and
16 it's clinically significant. It's limiting
17 clinically to people who have heart failure
18 functionally. It's limiting. So it's an important
19 endpoint.

20 It's been noted that these data are quite
21 intriguing, and so I suppose we can interpret that
22 if in fact a beneficial effect on heart failure

1 were adequately substantiated, that would be an
2 important effect of the drug.

3 The point was noted that this drug has
4 diuretic properties. So in that context, one would
5 want to know whether they were using a diuretic and
6 whether there were alternative diuretics or whether
7 this is an effect coupled with other actions of the
8 drug or independent, which would be important to
9 consider in clinical care.

10 That notwithstanding, there were a lot of
11 concerns raised about interpretation and the
12 strength of the heart failure data within this
13 study in that it was not designed primarily as a
14 heart failure study. There is data that one would
15 like to have that aren't there in terms of baseline
16 heart failure data and other modes of assessment of
17 heart failure issues about the definition of heart
18 failure. And the point was even raised that we may
19 need better metrics for heart failure. So
20 certainly, in considering future studies, that's
21 something that one has to grapple with as best as
22 one can.

1 I would say that's a summary. Anything to
2 add? Dr. Rosenberg?

3 DR. ROSENBERG: It's just a little side
4 comment. If you accept that the drug is effective
5 in reducing cardiovascular mortality, you have to
6 consider in which population you'll be able to
7 ethically randomize patients to the drug if you
8 want to study heart failure.

9 DR. SMITH: FDA, is that enough input from
10 us or do you want more discussion of this?

11 (No response.)

12 DR. SMITH: So we'll go to the next
13 discussion question, which is to discuss the renal
14 findings in the EMPA-REG OUTCOME study. Please
15 comment on the potential limitations of these data,
16 if any, and on whether the results of the study
17 establish a benefit of empagliflozin on kidney
18 disease related to diabetes. Dr. Palevsky?

19 DR. PALEVSKY: So I don't think that the
20 renal endpoints were rigorously enough collected
21 and adjudicated to really come to strong
22 conclusions. It's certainly intriguing, the slope

1 of the eGFR change. But slope of eGFR change, I
2 believe the FDA would not accept as an endpoint.

3 Certainly, the albuminuria seems to be a
4 transient effect. Stopping the drug, the
5 albuminuria comes back together. We know that
6 diuretics do that, so I'm not sure that it's
7 telling us anything about structural disease.

8 If this is going to be looked at for a renal
9 endpoint, it's going to have to be looked at with a
10 very rigorous adjudication of whether the change in
11 kidney function is persistent, whether it meets the
12 accepted standards of either a 50 percent decline
13 in -- or reducing the 50 percent increase in serum
14 creatinine or 40 or 50 percent change in eGFR,
15 which was not rigorously done.

16 There will need to be very careful
17 adjudication of AKI versus progression of CKD,
18 which clearly was not done, and understanding of
19 acute versus chronic dialysis, which seemed a bit
20 hazy.

21 I think that there's very intriguing data
22 here, but this is far from the level of data that

1 will establish a specific renal benefit. And we'd
2 love a drug with a specific renal benefit.

3 DR. SMITH: Yes, I agree. And again, just
4 to somewhat reiterate, it's important to separate
5 transient and reversible effects from sustained and
6 longer-term effects. I know you made that point,
7 but there is a suggestion in the data with the
8 limitations in the data, that there may be some
9 sustained effects, unlike the effect on urine
10 albumin excretion.

11 This is a context again where the other
12 co-variables within the study are critical, as you
13 and I have discussed before. They are ever more
14 critical because we're looking at microvascular
15 disease where we have a stronger argument for a
16 role of glycemia. And we know that there was a
17 difference in Alc within the study that one would
18 not want in a study that's evaluating effects on
19 renal complications in diabetes.

20 Similarly, there was an effect on blood
21 pressure, which one would not want in this context.
22 So those issues become, I think, even somewhat

1 greater in terms of limiting the interpretation.

2 Nonetheless, I agree as well that it's an
3 intriguing finding that if it in fact were
4 validated with another study -- and I don't well
5 know that it would be -- that would be a
6 potentially very useful effect of the drug.

7 DR. PALEVSKY: I would also add, in addition
8 to co-management, we don't know about dosing of RAS
9 blockade, which is the standard of therapy for
10 nephroprotection in these patients, and were there
11 differences.

12 My own personal interest in acute kidney
13 injury -- there is the concern always with an agent
14 that has diuretic effects that there may be an
15 increased risk of subtle AKI, and we have to worry,
16 as we do with RAS blockade, about potential benefit
17 being offset by those risks. So those are things
18 that really need to be very carefully looked at in
19 order to define the drug as beneficial for kidney
20 disease.

21 DR. SMITH: Right. And in fairness to the
22 sponsor, this was not intended to be a study on

1 renal complications and the effects. It's
2 interesting to see the data, and so these
3 criticisms are not one that are false in this
4 study, they're just things that should be addressed
5 if this were pursued in another study.

6 Dr. De Lemos, were you going to make a comment?

7 No? Other comments on this? Yes, Dr. McBryde?

8 DR. MCBRYDE: I just wanted to reiterate
9 what Paul said, a couple of things that struck me.
10 One was estimated GFR. The graphs and the
11 statistics look really impressive, but these are
12 estimating equations.

13 They have inherent inaccuracy and
14 imprecision that you can't resolve to 7 mLs per
15 minute, to 1.73 meters squared. MDRD, even CKD-
16 EPI, you've looking at 20 percent variability with
17 90 percent confidence. And at an estimated GFR
18 over 70, your error is going to be far greater than
19 what you're resolving in this, and so I think the
20 data is really confusing the way it was expressed.

21 One thing that I was interested in was the
22 albuminuria issue. What strikes me is it doesn't

1 look like it's really hemodynamic. There's only
2 about a 4-millimeter systolic blood pressure
3 change. This is not the SPRINT trial where you got
4 20 millimeters of reduction and you would expect to
5 see a dramatic reduction in albuminuria and it
6 resolved relatively quickly.

7 One of the things that intrigued me about
8 this class of drugs is they are all very highly
9 protein bound. They are protein bound to albumin,
10 and with nephelometry, it could actually be giving
11 you an erroneous reading, and that would be
12 something I would throw out there.

13 Certainly calcium, some other drugs have
14 been implicated in throwing off turbidimetric and
15 nephelometric measurements. So thinking long term,
16 you might want to think about something more
17 sensitive, like HPLC or other radioimmunoassays
18 rather than this particular approach.

19 So I am not sure I have any confidence in
20 the data. It wasn't prespecified, so I don't want
21 to beat anybody up for it. And as Paul said, I
22 would love to see something that protects the

1 kidneys in this population.

2 DR. SMITH: So I might summarize that the
3 data themselves, even though presented in a simple
4 way, they may show evidence for benefit in terms of
5 renal outcomes, that there are really major
6 deficiencies in the study design and other aspects
7 of the way the data were collected, and the data
8 themselves that provide no confidence in terms of
9 interpreting these data as a solid demonstration of
10 renal benefit.

11 That clearly would be a very important
12 accomplishment if that were shown in a subsequent
13 study, but it's clear, to the points made by
14 committee members, that really that would need much
15 further study to approach that point. Okay?

16 So we need to take a break, and when we come
17 back, we'll address the voting questions. And so
18 we'll take I think about a 10-minute break now, if
19 you would come back here within about 10 minutes.

20 I just again remind committee members that
21 there should be no discussion of the topic or the
22 elements of these considerations among yourselves,

1 other than in the open forum of the committee.

2 (Whereupon, at 3:45 p.m., a recess was
3 taken.)

4 DR. SMITH: All right. So we're going to
5 get started again. I notice in the morning when I
6 say everybody should take their seats, in a couple
7 minutes it gets instantly silent, even though we're
8 not going to start right away. And about this time
9 of day, nobody listens anymore, keep talking, and I
10 don't blame you for that. So anyway, here we go
11 again.

12 We're now going to proceed with the voting
13 questions. We will be using an electronic voting
14 system for this meeting. Once we begin the vote,
15 the buttons will start flashing on the microphone
16 units, and they'll continue to flash even after
17 you've entered your vote. Please press the button
18 firmly that corresponds to your vote. If you're
19 unsure of your vote, or if you wish to change your
20 vote, you may press the button you want until the
21 vote is closed.

22 After everyone has completed their vote, the

1 vote will be locked in. The vote will then be
2 displayed on the screen. The DFO will read the
3 vote from the screen into the record. And next,
4 we'll go around the room and each individual who
5 voted will state their name and their vote into the
6 record. And at that point, you can also state the
7 reason you voted as you did if you want to. And
8 we'll continue in the same manner until we've made
9 it around the room for those comments and all the
10 voting questions have been addressed.

11 So if there are no questions or comments
12 concerning the wording or the question, we'll now
13 open this first question for voting. I guess I'll
14 read it. Question 6, vote.

15 Based on data in the briefing materials and
16 presentations of today's meeting, do you believe
17 the EMPA-REG OUTCOME study results have fulfilled
18 the recommendations laid out in the 2008 FDA
19 guidance for industry by demonstrating the use of
20 empagliflozin to improve glycemic control would not
21 result in an unacceptable increase in
22 cardiovascular risk? And if yes, consider your

1 rationale for your vote. If no, provide your
2 rationale and comment on what additional data would
3 be needed.

4 So any clarification needed before we start
5 the voting? Okay, so the voting, if we could
6 activate the microphones.

7 (Vote taken.)

8 DR. BONNER: For the record, 23 yes, zero
9 no, zero abstain.

10 DR. SMITH: Okay. So we're going to go
11 around the room. We'll start with Dr. Schambelan,
12 if you would state your name into the microphone
13 and your vote for the record. And you may make
14 comment on why you voted yes.

15 Since it's 23/0 yeses, it probably doesn't
16 require a long comment from people. So if you
17 don't make real long comments, we'll get to the
18 next voting question and have more time to make
19 comments about it. Okay? Dr. Schambelan?

20 DR. SCHAMBELAN: My name is Morrie
21 Schambelan. I voted yes, and I have no comment.

22 (Laughter.)

1 DR. BUDNITZ: Dan Budnitz, voted yes. I'll
2 save my comments for the second question.

3 DR. COOKE: David Cooke. I voted yes. I
4 think it's clear that they met the criteria.

5 DR. NEATON: Jim Neaton. I voted yes. It
6 is very clear they met the criteria.

7 DR. CHO: Leslie Cho. I voted yes. Ditto.

8 DR. FRADKIN: Judy Fradkin. Also yes. Also
9 ditto.

10 DR. ROSENBERG: I voted yes. Interesting
11 they made it for the MACE and the MACE-plus despite
12 the limitation for the last component of the
13 MACE-plus.

14 DR. MCBRYDE: Kevin McBryde. I voted yes
15 for the same reasons previously cited.

16 DR. EVERETT: Brendan Everett. I voted yes.
17 I think they've demonstrated cardiovascular safety.

18 DR. SMITH: Robert Smith. I voted yes. And
19 after I told everybody to not say very much, I was
20 impressed not only at meeting the criteria, but in
21 the various ways of reanalyzing the data addressing
22 questionable groups, various sensitivity analyses.

1 I mean, this effect held up. So we're not moving
2 through this, in my opinion, quickly because we
3 feel like going quickly, I think it was really very
4 clear viewed from multiple potentially critical
5 directions.

6 DR. THOMAS: Abraham Thomas. I voted yes.
7 And I really agree with Dr. Smith that, of all the
8 things we discussed this afternoon and this
9 morning, this is the clearest.

10 DR. KONSTAM: Marv Konstam. Yes.

11 DR. LI-NG: Melissa Li-Ng. I voted yes.
12 And I concur with all the previous comments.

13 DR. GOOD: David Good, yes.

14 DR. DE LEMOS: James De Lemos, yes.

15 MS. HALLARE: Diana Hallare, yes.

16 DR. PALEVSKY: Paul Palevsky. Yes. No
17 further comments beyond what's been made.

18 DR. WILSON: Peter Wilson, yes.

19 DR. HECKBERT: Susan Heckbert, yes. The
20 data were very convincing regarding safety.

21 DR. YANOVSKI: Susan Yanovski, yes.

22 MR. LUMLEY: Dan Lumley, patient rep. Yes.

1 DR. HIATT: William Hiatt, yes. And it was
2 convincing.

3 DR. PROSCHAN: Michael Proschan, yes.
4 Because, duh.

5 (Laughter.)

6 DR. SMITH: You realize that's in the
7 federal record?

8 (Laughter.)

9 DR. PROSCHAN: I've done a lot worse than
10 that in the federal record. No problem.

11 (Laughter.)

12 DR. SMITH: So I think we'll go to the
13 second voting question. So for this voting
14 question, I will read.

15 Based on data in the briefing materials and
16 presentation at today's meeting, do you believe the
17 EMPA-REG OUTCOME study results provide substantial
18 evidence to establish that empagliflozin reduces
19 cardiovascular mortality in the population studied?
20 If yes, please provide the rationale for your vote.
21 If no, please provide the rationale for your vote
22 and comment on what additional data would be

1 needed.

2 Any questions, clarification questions
3 regarding the -- yes, Dr. Hiatt?

4 DR. HIATT: I would just like to clarify
5 with the FDA on this question. Vis-a-vis a meeting
6 we had a year ago, if we vote yes, and this goes in
7 the label, and no other drug of this class
8 substantiates that, you're stuck with that. Right?
9 You can't withdraw that unless there's a new safety
10 concern that might change how you feel.

11 But I just want to understand, if we put
12 this in, and based on this single trial, then the
13 sponsor gets that claim regardless of whatever
14 happens after that. Is that correct?

15 DR. GUETTIER: It's very hard to take a
16 claim out once it's in the label because the other
17 trials that are negative may be negative for some
18 very good reasons. So I think what we're asking
19 you to opine on today is with the data that you've
20 heard today, whether or not you think this is
21 believable enough to be included as a claim in the
22 label.

1 DR. SMITH: So I'd like to ask for a little
2 clarification on that because I can read this
3 question one way, which doesn't mention a claim.
4 Or I could read this question in a way that would
5 say, do I think the FDA ought to put this claim in
6 effect. And so I would like to clarify what you're
7 asking us.

8 DR. GUETTIER: So this question was really
9 was meant to actually get at the issue of a claim.
10 It talks about substantial evidence to establish
11 that empagliflozin reduces cardiovascular
12 mortality. And as you heard this morning,
13 substantial evidence is the evidence necessary to
14 form the basis of a new claim.

15 The new claim the sponsor is seeking, as of
16 today, is a cardiovascular mortality benefit. It
17 wasn't the initial claim that they sought, but this
18 is the new claim. So really what this question is
19 asking you is whether or not the evidence that is
20 provided by this trial is substantial evidence and
21 warrants inclusion in the product label.

22 DR. KONSTAM: Can I follow that for a

1 second? Oops, I voted. I might not have meant
2 that vote. So, if you decide not to give it the
3 claim, is it likely, nevertheless, that the data
4 would appear in the label?

5 DR. GUETTIER: Basically without a claim,
6 it's unlikely that the data would appear in the
7 label. The data in the label describes in
8 general -- so section 14 of the label generally
9 describes the trial that supports the indication.
10 And so if there is no indication, there is no
11 claim.

12 That being said, this is now a safety trial
13 and we would likely put at least the safety
14 component of the trial. How we would do that, we
15 haven't really gotten to.

16 DR. SCHAMBELAN: Could I ask a question as
17 well? So since the claim here is for
18 cardiovascular mortality and not for a MACE
19 outcome, are we in a position that we can, if we
20 have concerns about the MACE outcome, that we can
21 ignore that in answering this question?

22 DR. GUETTIER: I think that your vote should

1 be informed by the discussion at this afternoon's
2 session, and I think you've heard some thoughtful
3 comments by other members of the committee
4 regarding how to think about the cardiovascular
5 mortality claim. And so we think that the
6 discussion that occurred this afternoon should at
7 least should have informed how you vote about this.

8 Again, this is asking about the
9 cardiovascular mortality claim. You've heard both
10 from the FDA presentation, from comments that were
11 made by some of the members that in order for you
12 to look at this particular component of the
13 composite, you have to be convinced that you've won
14 on the primary endpoint, et cetera, et cetera. So
15 those are some of the things that you should be
16 thinking about as you vote.

17 DR. SMITH: Further questions, clarifying
18 questions.

19 DR. KONSTAM: You've got two over there.

20 DR. SMITH: Sorry. Dr. Good?

21 (No response.)

22 DR. SMITH: Dr. Li-Ng?

1 DR. LI-NG: Sorry, a question for the FDA.
2 So how would you interpret -- so the question that
3 you're posing is, is there substantial evidence to
4 establish that empagliflozin reduces cardiovascular
5 mortality in order to for it to have this
6 indication. So, because there are other
7 ramifications of adding this to the label for this
8 particular class of medications, how would you
9 interpret then a yes versus a no. Or maybe that's
10 not an appropriate question at this point.

11 DR. GUETTIER: I think you know what we've
12 said early today is that, as important as the vote
13 is in terms of yes or no, is the rationale for why
14 you voted. And so you can basically explain why
15 you voted the way you did. And based on your
16 rationale, we do look at the record and the yes and
17 no is not always black and white.

18 DR. SMITH: Yes, Dr. Good?

19 DR. GOOD: So the implication is that we
20 feel that the recommendation would stand on this
21 single trial and would not require a second trial
22 really. That's kind of the implication of this.

1 DR. SMITH: I would interpret it that way
2 because it's based on the data in the briefing
3 materials as written in this question, yes. Other
4 clarifying questions? Dr. Rosenberg?

5 DR. ROSENBERG: Yes. It's not a
6 clarification but just confirmation that from the
7 FDA they can always disagree with the
8 recommendation. Right?

9 (Laughter.)

10 DR. SMITH: So I think we're ready to go
11 ahead with the vote.

12 (Vote taken.)

13 DR. BONNER: For the record, vote question
14 number 7, 12 yes, 11 no, and zero abstain.

15 DR. SMITH: So again, we'll go around the
16 room. We're going to start this time on the other
17 side of the room. Dr. Proschan, you're first, if
18 you would state your name into the microphone for
19 the record, your vote, and any comments you'd like
20 to make on the basis for your vote.

21 DR. PROSCHAN: I'm Michael Proschan. I
22 voted yes. I think the evidence was pretty robust.

1 I feel pretty confident that there's some benefit
2 on cardiovascular mortality. I think it's likely
3 that that 38 percent is an overstatement that's
4 probably biased. But I think, even if it's 20
5 percent, you know that's still very good.

6 I would not have voted yes on the original
7 claim they made, but I think, because of the many
8 events, because of the sensitivity analyses, I feel
9 pretty confident that there is some benefit on
10 cardiovascular mortality.

11 DR. HIATT: William Hiatt. I voted yes. In
12 reviewing the data before this meeting, I was not
13 convinced. And in particular, I was concerned
14 about the idea that the MACE endpoint barely made
15 it in. But that in and of itself would probably
16 not have been a convincing result.

17 The thing that swayed my thinking about this
18 particular question was that cardiovascular
19 mortality withstood all the sensitivity analyses,
20 including the worst-case missing data analysis of
21 assuming a complete reversal of events between drug
22 and placebo. So with that kind of evidence, it's

1 hard to explain it away.

2 I don't understand the mechanism, but it
3 seems that that is a highly clinically important
4 result, and I would hate to sort of deny a claim
5 based on the unique way this trial was run and how
6 this finding evolved, because I believe at the end
7 of the day, I think the result is true.

8 MR. LUMLEY: I'm Dan Lumley. I voted yes.
9 As I indicated before, I'm the patient rep. I've
10 had type 2 diabetes for 20 years. My disease is
11 under control largely because of drugs, diet,
12 exercise, a Kansas City caring doctor, and recently
13 the Kansas City Royals winning last year's World
14 Series. It made me feel a hell of a lot better.

15 But that's not the reason I voted yes. The
16 reason I voted yes is, from what I heard today, I
17 think it works, and it's safe.

18 DR. YANOVSKI: Susan Yanovski, and I also
19 voted yes. I was impressed with the magnitude of
20 the reduction of CVD and all-cause mortality, which
21 I think really represents the hardest of hard
22 outcomes. We were shown statistically strong

1 evidence of important clinical benefit in a large
2 multi-center study, which was one of the FDA
3 criteria for accepting data from one study.

4 I do understand the uncertainty that's
5 imposed by having a fairly large proportion of
6 non-assessable CV deaths, but I was reassured by
7 the sensitivity analyses, particularly those
8 showing a significant CV death risk reduction, even
9 after you excluded those deaths.

10 DR. HECKBERT: This is Susan Heckbert, and I
11 voted no. I agree particularly with the points
12 that Dr. Hiatt brought up that this trial does
13 provide evidence of reduction in cardiovascular
14 mortality. I think it's intriguing and it would
15 really be great if this is, as it appears to be,
16 correct.

17 But I think the question we're asked to vote
18 on today was whether the available data is adequate
19 to support an additional indication in patients
20 with established CVD to reduce the risk of
21 cardiovascular death.

22 I believe that given the issues with the

1 non-assessable causes of mortality, the fact that
2 cardiovascular mortality was not a primary
3 endpoint, and the issues of multiple testing that
4 we've discussed today, and also the fact that the
5 FDA usually requires two well-designed and
6 conducted trials to add this type of an indication,
7 taking all those things together, my opinion is
8 that although these data are intriguing and
9 promising, a second study is needed before this
10 indication would be added.

11 DR. WILSON: Peter Wilson. I voted no.
12 This is the first compound in this class going for
13 this indication. And I think we should have a
14 higher bar for the quality of the evidence and the
15 importance of the findings, they really be
16 substantiated.

17 I think it's very hard to go from safety to
18 superiority in one study. I have difficulty with a
19 trial that has nine modifications as it went
20 through the path to eventual conclusion and
21 readjustment of some of the key issues that may
22 have been intervening issues, and especially

1 concerning because the blood pressure effect, and
2 potentially the heart failure effect, may be the
3 most important pathways. And the heart failure
4 one, we'd all like to see more information and
5 better information.

6 I am concerned that if approved, the
7 medication might be overused in younger patients at
8 fairly low risk of heart failure and extremely low
9 risk of cardiovascular death. So I think a second
10 study that would really confirm these and firm up
11 who are the candidates would be important.

12 Then finally, what trial would be the next
13 trial? So I think as people with heart failure or
14 at high risk for heart failure, with a variety of
15 modern approaches and assessments.

16 DR. PALEVSKY: Paul Palevsky, and I voted
17 no. I can see that the results on mortality are
18 very intriguing. I think that there are a number
19 of issues that have already been discussed about
20 concerns regarding the unable to be classified
21 cardiovascular deaths.

22 I'm concerned about the fact that we really

1 don't know what the mechanism is, and we don't know
2 whether there may be other aspects of interventions
3 in these patients that may have been contributing
4 to the apparent benefit from the drug rather than
5 it necessarily being a true drug.

6 This is a drug that's on the market.
7 Prescribers will have access to the published
8 literature about the drug. But I think that before
9 it's labeled, we have to have confirmatory
10 evidence.

11 MS. HALLARE: Diana Hallare. I voted no.
12 This is because of the missing data, the unblinding
13 of certain individuals, the uninterpreted deaths,
14 silent MI controversy. And I would like to see
15 more as additional data, a more diverse population
16 in this study, including ethnicity, those with or
17 without heart failure, those at risk for kidney
18 disease, for instance. And I know currently that
19 there is a clinical trial going on for a particular
20 subgroup, and I think that is commendable. And I
21 believe that a second trial would provide more
22 clarity.

1 DR. DE LEMOS: I'm James De Lemos. I voted
2 yes. I struggled as well with the classification
3 of the cardiovascular death events and the likely
4 misclassification of the events and acknowledge as
5 well that the effect size is likely dramatically
6 overestimated and probably wouldn't replicate to
7 this degree.

8 But the robustness of the finding, the large
9 number of endpoint events, and then most
10 importantly, the fact that it's buttressed by a
11 reduction in all-cause mortality, the ultimate
12 endpoint to me, drove a narrow decision in favor of
13 approval, for recommendation for approval.

14 DR. GOOD: David Good. I also came down
15 narrowly on the side of yes, for pretty much the
16 same reasons that already been elucidated by my
17 colleagues in the room.

18 I felt that the cardiovascular mortality was
19 always reasonable robust. If I had to vote only on
20 the primary outcome measure of 3-point MACE, I
21 would have voted no. I'm also concerned about the
22 mechanism, but that didn't dissuade me from voting

1 yes after considering this quite carefully.

2 DR. LI-NG: Melissa Li-Ng. I voted no for
3 similar reasons that have already been stated. For
4 me, as an endocrinologist, the lack of a mechanism
5 does bother me. I was thinking that clinically. I
6 practice in a region where SGLT2 inhibitors were
7 approved before the U.S. FDA approved them, so
8 they've been in use for about three or four years
9 already. And for me to tell a patient that now
10 this has been shown to decrease your risk of dying
11 from all causes based on one study, despite the
12 robust evidence, again, it's very hard for me to be
13 able to say that to a patient without a second
14 study to confirm these findings.

15 DR. KONSTAM: Marv Konstam. I voted yes.
16 First of all, I want to say to my colleagues on the
17 FDA, good luck in figuring out what to do.

18 (Laughter.)

19 DR. KONSTAM: I think that the vote actually
20 is, as it turned out, is very representative of
21 what's been going on in my mind all day long, of
22 going back and forth about what the right thing to

1 do here is. And I will say that every member to my
2 right that's voted no, I agree with what they said.
3 Okay?

4 (Laughter.)

5 DR. KONSTAM: So I agree with everybody.

6 (Laughter.)

7 DR. KONSTAM: I think that -- so it's tough,
8 because we have a component of an endpoint, which
9 is a secondary endpoint, that hits its p-value
10 marginally. And so for that reason, despite, I
11 think, Dr. Pocock's really excellent presentation,
12 I just don't know what that p-value really is. And
13 I think the comment about multiplicity has been
14 stated a number of times, and so I have trouble
15 getting there in any kind of rigorous way

16 So why did I vote yes? I hope and I think I
17 alluded to some of it in my comments, it's really
18 pulling it together with three pieces of
19 information.

20 One is that the p-value, nominal as it is,
21 is extremely small. Two is that -- and this hasn't
22 been discussed quite enough. I think in a way

1 there are two trials in here, with two different
2 doses, and each of those populations -- those two
3 populations with the two doses behave pretty much
4 exactly the same.

5 So that influenced me. And I was influenced
6 by the number of events. I think 300 and whatever
7 we said, over 300 events is a substantive finding,
8 and in my mind weighs a little.

9 I appreciate Bob Temple bringing up the LIFE
10 trial because I think that's kind of a precedent
11 for what you're dealing with here because you have
12 a primary endpoint that's there that's positive,
13 but with a soft p-value and without another trial,
14 and then you went ahead and approved a component of
15 the primary endpoint -- so there's some analogy
16 here at least. Maybe you had no idea what you were
17 doing, but you set a precedent.

18 (Laughter.)

19 DR. KONSTAM: So anyway, that was my
20 rationale, and I wound up voting yes.

21 DR. THOMAS: Abraham Thomas. I voted yes.
22 I think the data was very convincing. The p-value

1 for the composite was the one we're all having
2 doubts about. However, the mortality is very
3 convincing. And fortunately, the all-cause
4 mortality is consistent, so this isn't a case where
5 in some previous trials, we got an improvement in
6 cardiovascular mortality and all-cause mortality
7 goes the wrong way because people are driving their
8 tractors into fjords and random events like that.

9 I think what's important, though, is -- and
10 what I was wrestling with is do you require a
11 second trial or not. And I voted yes thinking that
12 you probably don't, but there are a lot of
13 unanswered questions, the mechanism, heart failure,
14 things that could be studied in additional trials.
15 And I do echo the point of if you really are a
16 purist, really in some respects this is a very
17 enriched population, appropriately so for a
18 cardiovascular event trial.

19 How does this translate to the new diabetic?
20 We really don't have very good tools of changing
21 mortality in newly diagnosed diabetics. Several
22 trials have looked at that and saw no difference in

1 terms of the treatment arm or the placebo arm.
2 Does this agent add some promise to the newly
3 diagnosed diabetic? And is this an agent that you
4 would add even if glucose control is reasonably
5 under good control?

6 I mean, these are questions that we'll have
7 to ask. Is this something that's going to be not
8 just a diabetes drug, but an add-on for
9 cardiovascular safety in diabetics? So I think
10 there's still a lot of unanswered questions that
11 would require another trial, but not necessarily
12 for the indication.

13 It's in the literature, so people know about
14 this, but we know people don't act on knowledge
15 even when they're from very well-done large trials.
16 There's inertia to doing this. However, patients
17 can only get medications that their insurance
18 covers.

19 I have a feeling if there is an indication,
20 that might drive the coverage of some of these
21 medications for the insurers, the pharmacy benefit
22 managers. And if there really is a mortality

1 benefit like this trial showed, that would be an
2 important thing for our patients, and patients do
3 care if they die or not.

4 DR. SMITH: I'm Robert Smith. I voted no.
5 It was a very difficult decision. So again, it was
6 for me a narrow split, a very narrow cut between
7 yes and no. Focusing on the mortality data of this
8 trial, I feel that they are very robust. And so as
9 one trial goes, this is a convincing set of data.

10 But then as I think about not just what do I
11 think about the outcome of this trial, but how
12 confident would I be that a second trial would
13 reproduce these data, I feel enough uncertainty
14 that that's the basis for my no vote.

15 I think the issues behind that were very
16 well summarized in combination by Drs. Wilson and
17 Palevsky in terms of issues related to the study
18 population, and things about the study itself that
19 we may not appreciate from a single study as
20 important variables, as well as a number of aspects
21 of how the study itself was conducted, which are
22 individual to a study.

1 So I agree it's a very difficult problem
2 that now goes to the FDA, but I was not comfortable
3 enough that these robust data would be reproduced
4 by subsequent experience to give a yes vote.

5 DR. EVERETT: Brendan Everett, and I voted
6 yes. I think there was some concern, probably most
7 eloquently expressed by Marv, about the robustness
8 of the win on the primary endpoint, because once
9 you demonstrate that, then you can get through the
10 door and start looking at the components of the
11 primary endpoint.

12 But I think once you're through that door,
13 which I felt okay that we did get through the door,
14 the effect on cardiovascular mortality is clear and
15 substantial, and as others have mentioned, survives
16 multiple sensitivity analyses.

17 The thing that really drove this vote for me
18 was the number of events, cardiovascular deaths,
19 and the size of the p-value, and the importance of
20 that particular endpoint. I don't think I would
21 have voted the same way if the endpoint were
22 myocardial infarction, for example.

1 That said, I struggled, as many others have,
2 in the great tradition of a yes vote meaning no,
3 and a no vote meaning yes for the FDA. I think
4 that, like Dr. Smith mentioned, I think this is one
5 trial. It's not two trials; it's one trial. And
6 that makes me hesitate about the fact that these
7 results really have not been replicated, whether by
8 this agent or by another agent in this class.

9 As the FDA is turning these comments over,
10 over the course of the next couple of months, I
11 would not object to waiting to give the label until
12 there are a second or third endpoint trial that
13 includes cardiovascular death as one of its key
14 components.

15 I suspect -- I don't know this for
16 sure -- that the sponsor is planning trials in
17 other select populations that might have a
18 significant benefit from this drug. I certainly
19 hope so because one of the reasons I voted yes is
20 because this is enormously promising, and I think
21 potentially offers a benefit to a huge number of
22 patients who have not, as of yet, had any agent for

1 managing glucose that reduces their cardiovascular
2 mortality.

3 DR. MCBRYDE: Kevin McBryde. I voted no.
4 I'd reiterate a lot of the same sentiments that
5 have been discussed earlier. I think what struck
6 me -- I think the MACE 3 endpoint was pretty clear
7 it did not meet the statistical significance that
8 was predefined.

9 The cardiovascular mortality bothers me a
10 little bit. This was a population of 76 percent at
11 baseline who had evidence of coronary artery
12 disease and yet myocardial infarction didn't pan
13 out as cause of cardiovascular death. And that
14 just set off a little red flag in my mind. Ten
15 percent of the patients were diagnosed with having
16 heart failure at baseline, and yet hospitalizations
17 were much greater for heart failure.

18 There were some inconsistencies in terms of
19 hazard ratios across regions. Looking at a
20 population -- and again this is only -- I'm
21 speaking purely from the U.S. perspective where 1
22 in 6 Americans with diabetes are black or

1 African-American and 5 percent of the study
2 population represent them -- and the data suggested
3 in that small body that there might be an increased
4 risk for that population.

5 I just think that it was a little bit too
6 much to overlook under the criteria that FDA gave
7 for acceptance of a single trial versus requesting
8 a second. And I think I would feel a little bit
9 better for the U.S. population specifically with a
10 second trial that could support these findings.

11 DR. ROSENBERG: Yves Rosenberg. I voted no,
12 but also, as many others have mentioned, it was a
13 very difficult decision. Maybe the FDA should have
14 a third category that's maybe.

15 (Laughter.)

16 DR. ROSENBERG: Second, I want to thank Dr.
17 Konstam. I'm glad I helped Dr. Konstam reach a
18 decision that's opposite to me by having raised
19 issue of naming the LIFE study that Dr. Temple
20 reported also got to an opposite decision.

21 Again, the same reasoning that's been
22 mentioned already; it's a secondary endpoint among

1 many. And although the results are probably true,
2 p-value is not what's really important. It's the
3 confidence interval. And what am I going to say to
4 a patient? Yes, it will probably reduce your
5 mortality, but maybe it's a little bit and maybe
6 it's a lot. I think your patients deserve better.
7 They deserve more evidence, and that's why a second
8 trial is required.

9 Again, among the other reasons that were
10 mentioned, it's the first component in class and if
11 we say if it's approved it's probably the end of
12 the story in this class, and really, we need many
13 evidence.

14 Also, yes, it may not be reimbursed for all
15 its drugs that's still already on the market, but
16 it's available if people really think it will
17 benefit them. But we really need to make sure of
18 the magnitude of benefit, on which patients it
19 really works. The issue of African-American is
20 very important in this country, so we need to
21 answer that question.

22 Yes, probably the sponsor is not very happy

1 now, but already probably they're doing studies
2 there, so hopefully it's not too much of an added
3 cost for them. And maybe they can talk to the new
4 leadership of the FDA or talk about ways they can
5 do their study a little more efficiently than have
6 been so far. I think that's all.

7 DR. FRADKIN: I'm Judy Fradkin. I voted no.
8 I also really struggled because my answer to the
9 question as to whether there's substantial evidence
10 would have been yes. I just don't think it's
11 absolutely proven to the extent that it should get
12 a label.

13 I was very impressed by the number of
14 events, the magnitude of the reduction, the
15 sustained effect over time, the sensitivity
16 analyses. I thought all of that was really
17 compelling.

18 What I just couldn't get past was sort of my
19 longstanding belief that a positive outcome to a
20 secondary outcome is hypothesis generating. And I
21 wasn't convinced that the primary outcome was
22 clearly positive given some of the methodologic

1 issues in the study.

2 That said, even if some of the other agents
3 in this class didn't show a cardiovascular
4 mortality benefit, I wouldn't say that that
5 excludes this one showing it. I think these drugs
6 differ in terms of their selectivity. And I
7 thought that there was a lot of really promising
8 evidence for this. And I am reassured by the fact
9 that doctors will see this data and make their own
10 decisions, but I did feel that we needed a second
11 study before there's a label.

12 DR. CHO: My name is Leslie Cho. I voted
13 yes. I voted yes for many reasons. One is that
14 since 2008, the FDA guidance has really led the way
15 from all these diabetic medications to undergo
16 these cardiovascular trials that we've seen time
17 and time again. They've been negative. We've seen
18 that for GLP-1. I mean, we've seen that for DPP-4.
19 We've seen it in 16,000-patient trials, such as
20 SAVOR, where there was absolutely no cardiovascular
21 death benefit on the active arm.

22 So for this trial to be so positive in terms

1 of overwhelming cardiovascular death numbers, and
2 even with the sensitivity analysis to be positive,
3 that was very convincing for me. And I think as a
4 cardiologist, it's interesting to note that all the
5 cardiologists on the panel voted yes, which is kind
6 of interesting as well. It's just a side note
7 there.

8 I don't think we have to -- we've
9 traditionally thought about cardiovascular endpoint
10 as athero endpoints, but perhaps it's now time to
11 move away from athero endpoints to something
12 different. And yes, it's a mechanism we don't
13 know, but it's still the number of deaths, the
14 actual bodies on the ground. It's pretty
15 convincing.

16 DR. NEATON: Jim Neaton. I voted yes. An
17 extreme difference and a very clinically relevant
18 outcome, with a relatively narrow confidence
19 interval based on the large number of deaths. An
20 endpoint, which is very easily ascertainable, less
21 than 1 percent, were missing. The findings held up
22 to a worst case analysis very easily.

1 In this population, one would expect a large
2 number of cardiovascular events. So I thought the
3 two analyses, one where you excluded the
4 non-assessable events, and then the second where
5 you just looked at all-cause mortality, both kind
6 of were supportive and very convincing.

7 I thought the discussion that we had around
8 some of the non-fatal outcomes, maybe the lesson
9 from this is that we should be doing more trials
10 with cardiovascular all-cause mortality outcomes
11 that are easily ascertainable where the results are
12 very clear-cut when you get them.

13 DR. COOKE: David Cooke. I voted no. And
14 for me, it also was a difficult decision. And I
15 did go back and forth throughout the day in terms
16 of what I thought made sense.

17 But it came down to this question of whether
18 this trial should stand alone as the evidence for
19 benefit on cardiovascular mortality for this
20 medication. And I really think the data to support
21 a single trial as evidence of efficacy needs to be
22 very high. And I think just this uncertainty to me

1 almost defines the fact that that really says we
2 need another trial to clarify this.

3 Yes, the data on cardiovascular mortality in
4 this trial is very suggestive of a benefit, but it
5 is just a single trial. And kind of folded into
6 that is this issue of mechanism. And I don't feel
7 that we absolutely have to understand a mechanism
8 to accept a benefit, but we do have to have at
9 least an understanding in some ways of who is going
10 to benefit from a medication like this.

11 The most obvious question with this
12 medication is, what is this impact on heart
13 failure. I think we definitely don't have our
14 hands around that because the study wasn't designed
15 to investigate that sufficiently. So I think we
16 need to understand that.

17 Or if that's not the answer to how this is
18 benefiting patients, then understand how we can use
19 this to benefit patients if it's supported by a
20 second trial. But I do feel that use of a
21 secondary outcome as the final answer to an
22 efficacy trial is risky.

1 DR. BUDNITZ: Dan Budnitz. I voted no.
2 Like David, I had some concerns about this being
3 the single trial in the end. I think there
4 probably is likely a mortality benefit, but had
5 enough concerns that I wanted to go over those four
6 characteristics that were outlined at the beginning
7 of the day about when FDA has relied on a single
8 trial. Included excellent study design, highly
9 reliable, statistically strong, and evidence of
10 important clinical benefit.

11 Just going through those, I think it was
12 excellent design as a safety study, but I think we
13 heard about -- there's enough concerns that this
14 might not be the ideal design for looking at CV
15 death as a primary endpoint. Is it highly
16 reliable? We heard about issues of multiplicity,
17 the other CV death that was not further described,
18 the numerous changes to protocol that might
19 question the reliability.

20 The question of statistically strong, I
21 think on the surface obviously it's a very small
22 p-value. But digging into issues of multiplicity

1 and the methodologic, the statistical methodology
2 of looking at this secondary endpoint after there
3 was a barely statistical MACE endpoint, raises
4 concerns.

5 Finally, is this an important clinical
6 benefit? Of course, cardiovascular mortality is,
7 but for me the question was, is it generalizable to
8 my patients here in the U.S., because that's what
9 the indication is for. And I just don't know
10 because didn't know enough about the patients'
11 baseline drugs, what usual care entailed over the
12 course of the study. So I would very much look
13 forward to a second study with, if not all in the
14 U.S., with a strong U.S. component.

15 DR. SCHAMBELAN: I'm Morrie Schambelan. I
16 voted yes. I think Dr. Neaton expressed most of
17 the thoughts that I had about this. I did go back
18 and forth during the day. I wish the FDA good luck
19 in trying to decide what they're going to do with
20 our input. concerned, though, that we make sure
21 that the population who

22 I am is treated with this drug, if this

1 indication is approved, are the people that were
2 studied in this trial. And I don't think we're
3 ready to give it to the 19-year-old diabetic, the
4 concerns that Peter Wilson raised.

5 So I was very, very close to wanting that
6 second trial, but I'm so impressed with this impact
7 one, the most important outcome, and the fact that
8 all-cause mortality was the same, I would hate to
9 see this not available to people in the attempt to
10 try to keep them alive.

11 DR. SMITH: Dr. Kewalramani, as the industry
12 representative, you don't vote, but do you have any
13 comments you'd like to make?

14 DR. KEWALRAMANI: Thank you. I have no
15 additional comments.

16 DR. SMITH: How about the FDA, any further
17 comments from you? Any closing remarks you'd like
18 to make?

19 DR. GUETTIER: I think you've just made our
20 job easier. Thank you.

21 (Laughter.)

22 DR. GUETTIER: I'd like to extend my thanks

1 to the DMEP review team for their hard work in
2 preparing for this; for our colleagues from the
3 Division of Cardiorenal Products for their help in
4 preparing for this advisory committee meeting; for
5 the applicant in the weeks leading up to this AC.
6 We did issue a lot of information requests to get
7 to the bottom of some of the issues that were
8 raised in the review, and they were very timely in
9 their responses.

10 Then for the members of the advisory
11 committee for all your input today, I think it's
12 helpful to us and we'll take everything that you've
13 said today into consideration. So thank you.

14 **Adjournment**

15 DR. SMITH: I, too, would like to thank the
16 committee members for your very thoughtful comments
17 and discussion today. This obviously was a
18 difficult discussion and so special thanks also to
19 the sponsor and to the FDA for all the data you
20 provided to us and all the assistance in our
21 questions. We very much appreciate it and it's
22 really helped with the process, so we appreciate

1 that.

2 So with that, this meeting is adjourned.
3 The committee members, please remember to drop off
4 your name tags at the desk so they can be recycled.
5 And thanks everyone for participating, including
6 the open public hearing speakers. We appreciate
7 your input.

8 (Whereupon, at 4:43 p.m., the meeting was
9 adjourned.)

10

11

12

13

14

15

16

17

18

19

20

21

22