

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

JOINT MEETING OF THE ANESTHETIC AND ANALGESIC
DRUG PRODUCTS ADVISORY COMMITTEE (AADPAC) AND
THE DRUG SAFETY AND RISK MANAGEMENT
ADVISORY COMMITTEE (DSaRM)

Open Session

Thursday, August 4, 2016

9:30 a.m. to 4:05 p.m.

FDA White Oak Campus
10903 New Hampshire Avenue
Building 31 Conference Center
The Great Room (Rm. 1503)
Silver Spring, Maryland

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Stephanie L. Begansky, PharmD**

4 Division of Advisory Committee and Consultant

5 Management

6 Office of Executive Programs, CDER, FDA

7

8 **ANESTHETIC AND ANALGESIC DRUG PRODUCTS ADVISORY**

9 **COMMITTEE MEMBERS (Voting)**

10 **Brian T. Bateman, MD, MSc**

11 Associate Professor of Anesthesia

12 Division of Pharmacoepidemiology and

13 Pharmacoeconomics

14 Department of Medicine

15 Brigham and Women's Hospital

16 Department of Anesthesia, Critical Care, and Pain

17 Medicine

18 Massachusetts General Hospital

19 Harvard Medical School

20 Boston, Massachusetts

21

22

1 **Raeford E. Brown, Jr., MD, FAAP**

2 *(Chairperson)*

3 Professor of Anesthesiology and Pediatrics

4 College of Medicine

5 University of Kentucky

6 Lexington, Kentucky

7

8 **David S. Craig, PharmD**

9 Clinical Pharmacy Specialist

10 Department of Pharmacy

11 H. Lee Moffitt Cancer Center & Research Institute

12 Tampa, Florida

13

14 **Charles W. Emala, Sr., MS, MD**

15 Professor and Vice-Chair for Research

16 Department of Anesthesiology

17 Columbia University College of Physicians &

18 Surgeons

19 New York, New York

20

21

22

1 **Jeffrey L. Galinkin, MD, FAAP**

2 Professor of Anesthesiology and Pediatrics

3 University of Colorado, AMC

4 Director of Pain Research

5 CPC Clinical Research

6 University of Colorado

7 Aurora, Colorado

8

9 **Anita Gupta, DO, PharmD**

10 Vice Chair and Associate Professor

11 Division of Pain Medicine & Regional

12 Anesthesiology

13 Department of Anesthesiology

14 Drexel University College of Medicine

15 Philadelphia, Pennsylvania

16

17 **Jennifer G. Higgins, PhD**

18 *(Consumer Representative)*

19 Director of Strategic Planning and Business

20 Development

21 Center for Human Development

22 Springfield, Massachusetts

1 **ANESTHETIC AND ANALGESIC DRUG PRODUCTS ADVISORY**

2 **COMMITTEE MEMBER (Non-Voting)**

3 **W. Joseph Herring, MD, PhD**

4 *(Industry Representative)*

5 Neurologist

6 Executive Director and Section Head

7 Neurology, Clinical Neurosciences

8 Merck Research Laboratories, Merck & Co.

9 North Wales, Pennsylvania

10
11 **DRUG SAFETY AND RISK MANAGEMENT ADVISORY COMMITTEE**

12 **MEMBER (Voting)**

13 **Tobias Gerhard, PhD, RPh**

14 Associate Professor

15 Rutgers University

16 Department of Pharmacy Practice and

17 Administration

18 Ernest Mario School of Pharmacy

19 New Brunswick, New Jersey

20

21

22

1 **DRUG SAFETY AND RISK MANAGEMENT ADVISORY COMMITTEE**

2 **MEMBER (Non-Voting)**

3 **Linda Scarazzini, MD, RPh**

4 *(Industry Representative)*

5 Vice President

6 Pharmacovigilance and Patient Safety

7 Abbvie

8 North Chicago, Illinois

9
10 **TEMPORARY MEMBERS (Voting)**

11 **Cynthia Arfken, PhD**

12 Professor

13 Department of Psychiatry and Behavioral

14 Neurosciences

15 Wayne State University

16 Detroit, Michigan

17

18

19

20

21

22

1 **Patrick Beardsley, PhD**

2 Professor

3 Department of Pharmacology & Toxicology,

4 Institute for Drug and Alcohol Studies, & Center for

5 Biomarker Research and Personalized Medicine

6 Virginia Commonwealth University

7 Richmond, Virginia

8

9 **Warren Bilker, PhD**

10 Professor of Biostatistics

11 Department of Biostatistics and Epidemiology

12 Perelman School of Medicine

13 University of Pennsylvania

14 Philadelphia, Pennsylvania

15

16 **Harriet de Wit, PhD**

17 Professor of Psychology

18 Department of Psychiatry and Behavioral

19 Neurosciences

20 Pritzker School of Medicine

21 University of Chicago

22 Chicago, Illinois

1 **John Farrar, MD, MSCE, PhD**

2 Associate Professor of Epidemiology in Biostatistics
3 and Epidemiology

4 Co-Director, Biostatistics Analysis Center

5 Biostatistical and Epidemiology Consulting Center

6 Center for Clinical Epidemiology and Biostatistics

7 University of Pennsylvania

8 Philadelphia, Pennsylvania

9

10 **Randall P. Flick, MD, MPH**

11 Medical Director

12 Mayo Clinic Children's Center

13 Associate Professor of Anesthesiology and

14 Pediatrics

15 Mayo Clinic College of Medicine

16 Rochester, Minnesota

17

18

19

20

21

22

1 **James Floyd, MD, MS**

2 Assistant Professor, Department of Medicine

3 Adjunct Assistant Professor

4 Department of Epidemiology

5 University of Washington

6 Seattle, Washington

7

8 **Scott Novak, PhD**

9 Senior Research Analyst

10 Behavioral Health Epidemiology Program

11 RTI International

12 Research Triangle Park, North Carolina

13

14 **Joseph O'Brien, MBA**

15 *(Patient Representative)*

16 President and CEO

17 National Scoliosis Foundation

18 Stoughton, Massachusetts

19

20

21

22

1 **Sharon Walsh, PhD**

2 Professor of Behavioral Science, Psychiatry,
3 Pharmacology and Pharmaceutical Sciences
4 Director, Center on Drug and Alcohol Research
5 University of Kentucky
6 Lexington, Kentucky

7

8 **Ursula Wesselmann, MD, PhD**

9 Professor of Anesthesiology and Neurology
10 Department of Anesthesiology
11 Division of Pain Medicine
12 University of Alabama at Birmingham
13 Birmingham, Alabama

14

15 **FDA PARTICIPANTS (Non-Voting)**

16 **Sharon Hertz, MD**

17 Director
18 Division of Anesthesia, Analgesia and Addiction
19 Products (DAAAP)
20 Office of Drug Evaluation II (ODE-II)
21 Office of New Drugs (OND), CDER, FDA

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Judy Staffa, PhD, RPH

Acting Associate Director for Public Health

Initiatives

Office of Surveillance and Epidemiology (OSE)

CDER, FDA

Ellen Fields, MD, MPH

Deputy Director

DAAAP, ODE-II, OND, CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Raeford Brown, Jr., MD, FAAP	14
5	Conflict of Interest Statement	
6	Stephanie Begansky, PharmD	19
7	FDA Introductory Remarks	
8	Ellen Fields, MD, MPH	23
9	Applicant Presentations - Egalet U.S. Inc.	
10	Introduction	
11	Robert Radie	30
12	Public Health Need	
13	Richard Dart, MD, PhD	36
14	Abuse-Deterrent Studies	
15	Jeffrey Dayno, MD	44
16	Clinical Relevance	
17	Nathaniel Katz, MD, MS	64
18	Clarifying Questions	74
19		
20		
21		
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	FDA Presentations	
4	Results of Oral Human Abuse Potential Study	
5	James Tolliver, PhD	94
6	Drug Utilization Patterns for Morphine	
7	Sulfate Extended-Release and Other	
8	ER/LA Opioid Analgesics 2011-2015	
9	Joann Lee, PharmD	107
10	Clarifying Questions	112
11	Open Public Hearing	125
12	Clarifying Questions (continued)	179
13	Charge to the Committee	
14	Sharon Hertz, MD	207
15	Questions to the Committee and Discussion	211
16	Adjournment	271
17		
18		
19		
20		
21		
22		

1 P R O C E E D I N G S

2 (9:30 a.m.)

3 **Call to Order**

4 **Introduction of Committee**

5 DR. BROWN: Good morning. I would first
6 like to remind everyone to please silence your cell
7 phones, smartphones, and any other devices, if you
8 have not already done so. I'd also like to
9 identify the FDA press contact, Michael Felberbaum,
10 who is at the other end of the room.

11 My name is Rae Brown. I'm the chairperson
12 for today's meeting. I'll now call the joint
13 meeting of the Anesthetic and Analgesic Drug
14 Products Advisory Committee and the Drug Safety and
15 Risk Management Advisory Committee to order. We'll
16 start by going around the table and introduce
17 ourselves, and we're going to start with the FDA to
18 my left and go around the table.

19 DR. STAFFA: Good morning. My name is
20 Judy Staffa. I'm the acting associate director for
21 public health initiatives in the Office of
22 Surveillance and Epidemiology.

1 DR. HERTZ: Sharon Hertz, director for the
2 Division of Anesthesia, Analgesia, and Addiction
3 Products.

4 DR. FIELDS: Ellen Fields, deputy director
5 in the same division.

6 DR. BILKER: Warren Bilker, professor of
7 biostatistics at the University of Pennsylvania.

8 DR. FLICK: Randall Flick, pediatric
9 anesthesiologist, Mayo Clinic.

10 DR. WESSELMANN: Ursula Wesselmann,
11 professor of anesthesiology and neurology at the
12 University of Alabama at Birmingham.

13 DR. BATEMAN: Brian Bateman, associate
14 professor of anesthesia, Harvard Medical School.

15 DR. CRAIG: David Craig. I'm a clinical
16 pharmacist in Moffitt Cancer Center, Tampa,
17 Florida.

18 DR. GALINKIN: Jeff Galinkin, professor of
19 anesthesiology and pediatrics at University of
20 Colorado.

21 DR. GUPTA: Dr. Anita Gupta, vice chair and
22 associate professor in Department of Anesthesiology

1 and Pain Medicine at Drexel University College of
2 Medicine.

3 DR. EMALA: Charles Emala. I'm an
4 anesthesiologist and vice chair for research at
5 Columbia University.

6 DR. BEGANSKY: Stephanie Begansky. I'm the
7 designated federal officer for today's meeting.

8 DR. BROWN: Rae Brown. I'm professor of
9 anesthesiology and pediatrics at the University of
10 Kentucky.

11 DR. GERHARD: Tobias Gerhard,
12 pharmacoepidemiologist, Rutgers University.

13 DR. FARRAR: John Farrar, neurologist,
14 epidemiologist, at the University of Pennsylvania.

15 DR. NOVAK: Scott Novak,
16 pharmacoepidemiology, pharmacovigilance at RTI
17 International.

18 DR. FLOYD: James Floyd, general internist
19 at the University of Washington.

20 MR. O'BRIEN: Joe O'Brien, president and
21 chief executive officer of the National Scoliosis
22 Foundation, patient representative.

1 DR. HIGGINS: Jennifer Higgins, consumer
2 representative.

3 DR. WALSH: I'm Sharon Walsh. I'm a
4 professor of behavioral science, psychiatry,
5 pharmacology, and pharmaceutical sciences at the
6 University of Kentucky.

7 DR. ARFKEN: Cynthia Arfken, professor at
8 Wayne State University.

9 DR. DE WIT: Harriet de Wit. I'm professor
10 in the Department of Psychiatry and Behavioral
11 Sciences at the University of Chicago.

12 DR. BEARDSLEY: Patrick Beardsley, professor
13 of pharmacology and toxicology at the Virginia
14 Commonwealth University.

15 DR. SCARAZZINI: Good morning. Linda
16 Scarazzini. I'm the vice president at AbbVie for
17 pharmacovigilance and patient safety. I'm the
18 industry rep for DSaRM.

19 DR. HERRING: Hi. I'm Joe Herring, a
20 neurologist employed at Merck in the clinical
21 neuroscience group, and the industry representative
22 to the Anesthetic and Analgesia Drug Products

1 Advisory Committee.

2 DR. BROWN: Welcome to this open session.
3 For topics such as those being discussed at today's
4 meeting, there are often a variety of opinions,
5 some of which are quite strongly held.

6 Our goal is that today's meeting will be a
7 fair and open forum for discussion of these issues
8 and that individuals can express their views
9 without interruption. Thus, individuals will be
10 allowed to speak into the record only if recognized
11 by the chair. We look forward to a productive
12 meeting.

13 In the spirit of the Federal Advisory
14 Committee Act and the Government in the Sunshine
15 Act, we ask that the advisory committee members
16 take care that their conversations about the topic
17 at hand take place in the open forum of the
18 meeting. We are aware that members of the media
19 are anxious to speak with the FDA about these
20 proceedings.

21 However, FDA will refrain from discussing
22 the details of this meeting with the media until

1 its conclusion. Also, the committee is reminded to
2 please refrain from discussing the meeting topic
3 during breaks or lunch.

4 Now I'll pass it over to Lieutenant
5 Commander Stephanie Begansky who will read the
6 conflict of interest statement.

7 **Conflict of Interest Statement**

8 DR. BEGANSKY: Thank you. The Food and Drug
9 Administration is convening today's joint meeting
10 of the Anesthetic and Analgesic Drug Products
11 Advisory Committee and the Drug Safety and Risk
12 Management Advisory Committee under the authority
13 of the Federal Advisory Committee Act of 1972.

14 With the exception of the industry
15 representative, all members and temporary voting
16 members of these committees are special government
17 employees or regular federal employees from other
18 agencies and are subject to federal conflict of
19 interest laws and regulations.

20 The following information on the status of
21 these committees' compliance with federal ethics
22 and conflict of interest laws, covered by but not

1 limited to those found at 18 U.S.C., Section 208,
2 is being provided to participants in today's
3 meeting and to the public. FDA has determined that
4 members and temporary voting members of these
5 committees are in compliance with federal ethics
6 and conflict of interest laws.

7 Under 18 U.S.C., Section 208, Congress has
8 authorized FDA to grant waivers to special
9 government employees and regular federal employees
10 who have potential financial conflicts when it is
11 determined that the agency's need for a special
12 government employee's services outweighs his or her
13 potential financial conflict of interest, or when
14 the interests of a regular federal employee is not
15 so substantial as to be deemed likely to affect the
16 integrity of the services which the government may
17 expect from the employee.

18 Related to the discussions of today's
19 meeting, members and temporary voting members of
20 these committees have been screened for potential
21 financial conflicts of interest of their own as
22 well as those imputed to them, including those of

1 their spouses or minor children, and for purposes
2 of 18 U.S.C., Section 208, their employers. These
3 interests may include investments, consulting,
4 expert witness testimony, contracts, grants,
5 CRADAs, teaching, speaking, writing, patents and
6 royalties, and primary employment.

7 Today's agenda involves the discussion of
8 new drug application 208603, morphine sulfate
9 extended-release tablets, submitted by Egalet U.S.
10 Incorporated, with the proposed indication of
11 management of pain severe enough to require daily,
12 around-the-clock, long-term opioid treatment and
13 for which alternative treatment options are
14 inadequate. It has been reformulated with the
15 intent to provide abuse-deterrent properties.

16 The committees will be asked to discuss
17 whether the data submitted by the applicant are
18 sufficient to support labeling of the product with
19 the properties expected to deter abuse.

20 This is a particular matters meeting during
21 which specific matters related to Egalet's NDA will
22 be discussed. Based on the agenda for today's

1 meeting and all financial interests reported by the
2 committee members and temporary voting members, no
3 conflict of interest waivers have been issued in
4 connection with this meeting.

5 To ensure transparency, we encourage all
6 standing committee members and temporary voting
7 members to disclose any public statements that they
8 have made concerning the product at issue.

9 With respect to FDA's invited industry
10 representatives, we would like to disclose that
11 Drs. W. Joseph Herring and Linda Scarazzini are
12 participating in this meeting as non-voting
13 industry representatives acting on behalf of
14 regulated industry. Their role at this meeting is
15 to represent industry in general and not any
16 particular company. Dr. Herring is employed by
17 Merck and Company, and Dr. Scarazzini is employed
18 by AbbVie.

19 We would like to remind members and
20 temporary voting members that if the discussions
21 involve any other products or firms not already on
22 the agenda for which an FDA participant has a

1 personal or imputed financial interest, the
2 participants need to exclude themselves from such
3 involvement, and their exclusion will be noted for
4 the record.

5 FDA encourages all other participants to
6 advise the committees of any financial
7 relationships they may have with the firm at issue.
8 Thank you.

9 DR. BROWN: We'll now proceed with the FDA's
10 introductory remarks from Dr. Ellen Fields.

11 **FDA Introductory Remarks - Ellen Fields**

12 DR. FIELDS: Good morning. Dr. Brown,
13 members of Anesthesia and Analgesia Drugs Advisory
14 Committee, members of the Drug Safety and Risk
15 Management Advisory Committee, and invited guests,
16 thank you for joining us this morning.

17 Today we will be discussing an application
18 from Egalet for a new extended-release tablet
19 formulation of morphine sulfate with the proposed
20 trade name Arymo ER. If approved, Arymo ER will
21 have the same indication as the already approved
22 extended-release long-acting opioid analgesics,

1 that is the management of pain severe enough to
2 require daily, around-the-clock, long-term opioid
3 treatment and for which alternative treatment
4 options are inadequate.

5 Arymo ER has been formulated with the
6 intention to deter abuse, based on physical and
7 chemical properties that resist manipulation for
8 the purposes of abuse. During this meeting, you
9 will hear presentations from Egalet on the
10 development of Arymo ER and the results of the in
11 vitro physical and chemical manipulation studies
12 and human abuse potential studies they conducted to
13 demonstrate abuse-deterrent properties.

14 FDA will present drug utilization data for
15 morphine sulfate and other extended-release
16 opioids, as well as the agency's interpretation of
17 the oral human abuse potential study.

18 We are aware of the immense public health
19 problem that exists in the United States today from
20 the abuse of prescription opioids. As part of a
21 larger effort across HHS, we at FDA have encouraged
22 drug companies to develop novel interventions to

1 reduce this abuse.

2 To this end, we have supported the
3 development of novel formulations through multiple
4 interactions with both the pharmaceutical industry
5 and the academic community. And in April 2015, we
6 issued the guidance for industry abuse-deterrent
7 opioids, which explains the agency's current
8 thinking regarding studies that should be conducted
9 to demonstrate that a given formulation has
10 abuse-deterrent properties. It makes
11 recommendations about how these studies should be
12 performed and evaluated and discusses how to
13 describe those studies and their implications in
14 product labeling.

15 As discussed in the guidance, the
16 development of an abuse-deterrent opioid product
17 should be guided by the need to reduce the abuse
18 known or expected to occur with similar products.
19 The evaluation of an abuse-deterrent formulation
20 should take into consideration the known routes of
21 abuse for the non-abuse-deterrent predecessor or
22 similar products, as well as anticipate the effect

1 that deterring abuse by one route may have on
2 shifting abuse to other possibly riskier routes.

3 Abuse-deterrent properties can generally be
4 established only through comparison to another
5 product. The fact that a product has
6 abuse-deterrent properties does not mean there is
7 no risk of abuse. It means rather that the risk of
8 abuse is lower than it would be without such
9 properties.

10 Because opioid products must in the end be
11 able to deliver the opioid to the patient, there
12 will always be some risk of abuse of these
13 products, and as long as the product can deliver
14 the opioid, the risk for addiction will remain.

15 In response to the growing epidemic of
16 opioid abuse, dependence, and overdose in the
17 United States, the commissioner announced an opioid
18 action plan in February of this year to take steps
19 towards reducing the impact of opioid abuse on the
20 public health.

21 As part of this plan, the agency has
22 committed to work more closely with its advisory

1 committees before making critical product and
2 labeling decisions. As you know, we are calling on
3 all of you more often to fulfill this goal.

4 As we work to make opioid analgesics less
5 desirable targets for abuse, we cannot forget that
6 the underlying purpose of these products is the
7 management of pain in patients for which other
8 alternatives are inadequate, and opioid analgesics
9 remain an important component of pain management.

10 With every new product we weigh the risks
11 and benefits. With new abuse-deterrent
12 formulations, we are also watchful for any evidence
13 that the product results in a new or increased
14 safety risk for patients who take the product as
15 directed, and for any evidence that by deterring
16 abuse by one route of administration, the new
17 product may shift abuse to a riskier route of
18 administration; for example, deterring oral abuse
19 but inadvertently making nasal or intravenous abuse
20 more attractive.

21 There are currently six approved
22 extended-release opioid products, including two

1 extended-release morphine products with
2 abuse-deterrent properties, and we are watching the
3 postmarketing data closely for any signs of
4 unintended problems associated with these products.

5 Today, you will be asked to discuss whether
6 the applicant has demonstrated abuse-deterrent
7 properties for their product that would support
8 labeling, the routes of abuse for which
9 abuse-deterrent properties have been demonstrated,
10 and whether Arymo ER should be approved.

11 These are clearly difficult questions for
12 which there are no easy answers. We are asking
13 that you provide your expertise, your experience,
14 and your best insights, in order to help us find a
15 reasonable and responsible path forward.

16 Your advice and recommendations will be
17 essential in assisting us with addressing this
18 complex and critical public health concern. We are
19 grateful that you have agreed to join us and look
20 forward to this important discussion.

21 DR. BROWN: Thank you, Dr. Fields.

22 Both the Food and Drug Administration and

1 the public believe in a transparent process for
2 information-gathering and decision-making. To
3 ensure such transparency at the advisory committee
4 meeting, the FDA believes that it's important to
5 understand the context of an individual's
6 presentation.

7 For this reason, FDA encourages all
8 participants, including the applicant's
9 non-employee presenters, to advise the committee of
10 any financial relationships that they may have with
11 the applicant, such as consulting fees, travel
12 expenses, honoraria, and other interests in a
13 sponsor, including equity interests and those based
14 upon the outcome of the meeting.

15 Likewise, the FDA encourages you, at the
16 beginning of your presentation, to advise the
17 committee if you do not have any such financial
18 relationships. If you choose not to address this
19 issue of financial relationships at the beginning
20 of your presentation, it will not preclude you from
21 speaking.

22 We're now going to proceed with Egalet's

1 presentations.

2 **Applicant Presentation - Robert Radie**

3 DR. RADIE: Good morning. My name is
4 Bob Radie, and I'm the president and CEO of Egalet
5 Corporation. Egalet is a specialty pharmaceutical
6 company focused on developing, manufacturing, and
7 commercializing innovative treatments for pain and
8 other conditions.

9 We'd like to thank the FDA and the advisory
10 committee members for your time today to discuss
11 our NDA for Arymo ER, morphine sulfate
12 extended-release tablets for the treatment of
13 chronic pain.

14 Arymo is designed to deter many of the most
15 common forms of opioid misuse and abuse. The need
16 for an abuse-deterrent formulation is particularly
17 urgent in the case of morphine, since morphine is
18 the most commonly prescribed extended-release
19 opioid.

20 In the first four months of this year, more
21 than 98 percent of the extended-release morphine
22 products dispensed had no abuse-deterrent

1 properties. That means these morphine medications
2 can be easily abused by chewing, crushing,
3 snorting, or injecting.

4 We want to be very clear. We're not here
5 advocating for just another morphine pain
6 medication to add to the 98 percent. Rather, we
7 believe that Arymo can be an important part of the
8 effort to replace these products with effective
9 abuse-deterrent formulations.

10 Arymo provides a broad abuse-deterrent
11 profile. It has physical and chemical barriers to
12 all common routes of abuse, including chewing and
13 other types of manipulated oral abuse, snorting,
14 and intravenous injection.

15 It's important to define exactly what we
16 mean by oral abuse. We do not mean someone taking
17 too many intact pills. Unfortunately, no
18 abuse-deterrent product can prevent that type of
19 abuse since the intact pills must release opioid to
20 provide pain relief.

21 By deterring oral abuse, we mean that Arymo
22 is extremely hard and would be difficult or

1 impossible to chew.

2 In addition, it cannot be turned into an
3 immediate-release product through physical
4 manipulation, and it resists chemical extraction in
5 solution. We'll also show data demonstrating that
6 Arymo cannot be reduced to particle sizes amenable
7 to snorting, and subjects who tried to snort it,
8 did not like the experience. Finally, the data
9 show that Arymo cannot be extracted and prepared
10 for IV injection, the most common non-oral form of
11 morphine abuse.

12 I'll describe how our manufacturing
13 technology imparts physical and chemical
14 abuse-deterrent features to Arymo.

15 Arymo is manufactured with Egalet's
16 proprietary Guardian technology, which combines a
17 polymer matrix formulation with the established
18 well-characterized manufacturing process of
19 injection molding.

20 Morphine sulfate and polyethylene oxide are
21 blended together and melted in the injection-
22 molding machine using heat and high pressure to

1 force the blend into a very hard dense tablet. The
2 tablet's matrix composition with polyethylene oxide
3 imparts Arymo's extended-release profile and
4 hardness.

5 It also results in resistance to particle
6 size reduction, chemical extraction, and
7 syringeability by forming a gel on contact with
8 liquid. Overall, Guardian technology results in an
9 extended-release product with robust physical,
10 chemical barriers against abuse.

11 The Arymo development program was conducted
12 in accordance with FDA guidance and frequent
13 interaction with the agency. Arymo was shown to be
14 bioequivalent to the reference listed morphine
15 drug, MS Contin, across the proposed dosages of
16 15, 30, and 60 milligrams.

17 These data create the scientific bridge that
18 supports the approvability of Arymo through an
19 accepted FDA pathway. In addition, a fed/fasted PK
20 study with Arymo 60 milligrams, the highest
21 proposed dose, demonstrated no evidence of a
22 clinically significant food effect. In fact, Arymo

1 60 milligrams is bioequivalent in the fed versus
2 fasted state.

3 While we won't elaborate on these data
4 today, they are included in your briefing book. We
5 also conducted an in vitro alcohol interaction
6 study, which showed no evidence of dose dumping in
7 the presence of alcohol.

8 Egalet conducted the full battery of
9 abuse-deterrent Category 1 through three studies in
10 accordance with the FDA guidance on abuse-deterrent
11 opioid development and in consultation with
12 experts, several of whom are here today. These
13 studies are designed to reflect as closely as
14 possible what abusers do in the real world.

15 Category 1 in vitro studies assess how
16 easily products can be manipulated physically and
17 chemically to facilitate various routes of abuse.
18 Category 2 pharmacokinetic studies evaluate whether
19 a product can be converted into an
20 immediate-release product by manipulation. And
21 finally, Category 3 studies assess the human abuse
22 potential by measuring how much recreational opioid

1 users like the manipulated product versus the
2 comparator. The results demonstrate that Arymo can
3 be expected to deter abuse by the manipulated oral,
4 nasal, and IV routes.

5 If Arymo is approved, Egalet is committed to
6 fulfilling the post-approval requirements for an
7 extended-release opioid. These include
8 participation in the ER/LA REMS program and
9 conducting our own Category 4 study to assess the
10 real world impact of Arymo on abuse of
11 extended-release morphine products.

12 Prescription drug abuse is a very complex
13 problem and needs a multifaceted solution.
14 Education, prescription monitoring, proper
15 prescribing, and proper disposal can all help
16 reduce misuse and abuse.

17 We believe that abuse-deterrent opioids like
18 Arymo can be another important piece of this
19 puzzle. With this background in mind, I'll review
20 our agenda and presenters for this morning.

21 Dr. Richard Dart will discuss the public
22 health need for abuse-deterrent, extended-release

1 morphine formulations to help address the opioid
2 abuse epidemic. Dr. Jeffrey Dayno will review the
3 results of our abuse-deterrent studies. And
4 lastly, Dr. Nathaniel Katz will conclude the
5 presentation with his perspective on the clinical
6 relevance of the data for Arymo.

7 We are also joined by additional experts who
8 are available to respond to your questions. All of
9 our external experts or their institutions have
10 been compensated for their time and travel
11 expenses, and none have an equity interest in
12 today's outcome.

13 I'll now invite Dr. Dart to the podium.

14 **Applicant Presentation - Richard Dart**

15 DR. DART: Good morning. My name is Rick
16 Dart, and I'm the director of the Rocky Mountain
17 Poison and Drug Center and a professor at the
18 University of Colorado. I'm also the executive
19 director of the RADARS System, which provides
20 surveillance of prescription drug abuse and
21 diversion throughout the United States.

22 Today, I will provide a perspective on

1 morphine and abuse-deterrent formulations that I
2 hope you'll find useful. Arymo is an
3 extended-release morphine formulation with
4 abuse-deterrent properties, and morphine is a
5 highly abused drug. And I'll discuss how it is
6 abused, how abuse-deterrent formulations work, and
7 why an extended-release morphine with physical,
8 chemical barriers is needed.

9 Now some opioid abusers swallow intact
10 pills, but many chew or manipulate in other ways,
11 the tablet first in order to speed up and intensify
12 their high. For a pharmacologist it's real-world
13 proof that increasing the surface area of a drug in
14 the gut dramatically increases the rate of release.
15 We call this particle size reduction. You'll hear
16 that term a lot today.

17 As the particle size decreases, the surface
18 area for the drug increases. This produces the
19 fastest and highest blood levels. It's a crucial
20 concept, because the goal of all abusers who
21 manipulate their drug is to reduce the particle
22 size and increase the intensity of their high.

1 Unfortunately, all of these routes have
2 increased risks of overdose, and for intravenous
3 abuse, the long-term consequences of HIV and
4 hepatitis C. So if we can reduce chewing,
5 snorting, and injection, we have made progress.

6 The appeal of an opioid analgesic for
7 manipulated abuse is the sum of several factors
8 that really boil down to a simple equation. How
9 much effort is needed to get the drug into an
10 abusable form with enough yield to produce the
11 desired high?

12 Effort is very important to abusers. Given
13 a choice, abusers will choose the tablet that can
14 be crushed into a powder in seconds, like almost
15 any non-abuse-deterrent analgesic. In contrast, if
16 an abuser has to work hard and long to get the drug
17 out, they're not going to choose that drug.

18 Category 1 studies assess in the laboratory
19 how hard it is to do that manipulation of the drug,
20 while Category 2 and 3 studies assess how much
21 abusers like the drug. Together, the results from
22 all three categories are meant to provide an

1 indication of whether or not a formulation can be
2 expected to lead to a reduction in abuse.

3 As you know, there is more than one way to
4 make a product abuse deterrent. The most common
5 approach is physical chemical where the product is
6 difficult to crush and resists extraction of the
7 active ingredient.

8 The first product with physical chemical
9 abuse-deterrent formulations was OxyContin, which
10 was designed to resist manipulation and chemical
11 extraction, and to form a thick goo when mixed with
12 water. Arymo also uses the physical chemical
13 strategy to deter abuse, but is manufactured using
14 a different technology.

15 The main alternative approach is the use of
16 an antagonist. In these products, the tablet is
17 easy to crush, but manipulation releases an
18 antagonist like naltrexone that prevents the
19 euphoric effects and may induce withdrawal in some
20 patients. Whatever the formulation employed, an
21 abuse-deterrent formulation can intervene at
22 several points in the progression of substance

1 abuse.

2 This process begins when an individual is
3 first exposed to an opioid. Whether a pain patient
4 or a recreational drug abuser, they may like the
5 euphoria and desire to intensify their experience.
6 They often start by swallowing extra tablets and
7 then go on to chew the tablet and to crush the
8 tablet to release the opioid faster and faster.
9 Each of these manipulations increases the speed of
10 onset. Some abusers proceed to snorting or
11 injecting to further intensify their high.

12 Now, many people think, and unfortunately
13 it's often portrayed this way in the press, that
14 the main purpose of an abuse-deterrent formulation
15 is to stop the experienced abuser from injecting
16 the drug. An abuse-deterrent drug can help with
17 that problem, but it's important to realize that
18 wherever a person is on this pathway,
19 abuse-deterrent products have the potential to
20 deter progression down the pathway to more
21 dangerous forms of abuse.

22 Now this is the theory, so let's look at

1 data that demonstrate the effectiveness of these
2 abuse-deterrent barriers. Of the six approved
3 extended-release, abuse-deterrent formulations,
4 only one has sufficient data to allow analysis.
5 The data indicate that the introduction of
6 reformulated extended-release oxycodone, which has
7 the brand name OxyContin, has been followed by a
8 considerable reduction in misuse, abuse, overdose,
9 and aversion.

10 This forest plot shows the change in these
11 endpoints across several databases since the drug's
12 reformulation in 2010. These analyses are
13 remarkably diverse coming from poison centers,
14 treatment centers, law enforcement agencies, IMS
15 prescription data, and a specific cohort of abusers
16 from Kentucky.

17 Similar trends have also been seen from
18 outside the United States. This slide shows the
19 number of cases of intravenous oxycodone in
20 Australia from 2009 to 2014. After oxycodone
21 extended-release reformulation, represented by the
22 dotted line, cases of intravenous oxycodone abuse

1 dropped from about 3500 per month to about 100, and
2 the reformulated abuse-deterrent oxycodone, shown
3 by the blue line in the right-hand lower corner,
4 had very few cases of IV abuse in the first few
5 months after introduction.

6 This raises another question that should be
7 addressed, whether the introduction of an
8 abuse-deterrent opioid might lead to an overall
9 increase in opioid prescribing. But on the
10 contrary, even though six extended-release
11 abuse-deterrent opioids have been approved between
12 2011 and 2015, the number of prescriptions for
13 extended-release opioid has actually decreased by
14 1.6 million prescriptions annually by the end of
15 2015.

16 However, morphine has not followed this
17 overall downward trend. In fact, the number of
18 prescriptions has slightly increased over the last
19 several years. Morphine remains the most commonly
20 prescribed extended-release opioid in the United
21 States.

22 As you heard earlier, 98.5 percent of these

1 morphine analgesics are not abuse deterrent,
2 creating more opportunities for abuse and
3 diversion.

4 Surveillance data show that extended-release
5 morphine is abused by all routes. As one would
6 expect, the most common route of abuse, as shown by
7 RADARS Poison Center program data, is oral. In
8 addition to simply swallowing intact pills, poison
9 center data include chewing and swallowing, as well
10 as crushing and swallowing in the category of oral.

11 Chewing is an important transition because
12 it's the easiest way for a novice abuser to
13 experience more rapid onset of euphoria. With the
14 more experienced abuser population, like
15 individuals entering substance abuse treatment
16 centers, we see an increase in injection and
17 snorting. In terms of the different forms of
18 manipulated oral abuse, chewing was the most
19 common.

20 In summary, abuse-deterrent products with
21 physical chemical barriers can prevent chewing,
22 hinder particle size reduction, and resist being

1 turned into an immediate-release formulation.
2 Epidemiologic data suggests that the widespread
3 adoption of abuse-deterrent opioid, like OxyContin,
4 will reduce misuse, abuse, and aversion. And
5 importantly, the introduction of abuse-deterrent
6 formulation has been associated with a reduction,
7 not an increase, in prescribing of opioid
8 analgesics.

9 Morphine is the most commonly prescribed
10 extended-release opioid, is abused through all
11 routes, and nearly all extended-release morphine
12 products prescribed in the U.S. today can be easily
13 chewed, crushed, and snorted or injected. For
14 these reasons, an effective abuse-deterrent,
15 extended-release morphine pain medication would be
16 an important addition to the public health
17 landscape.

18 Thank you. I'll turn the presentation over
19 to Dr. Dayno.

20 **Applicant Presentation - Jeffrey Dayno**

21 DR. DAYNO: Thank you, Dr. Dart.

22 My name is Jeffrey Dayno, and I am the chief

1 medical officer at Egalet. I will present the
2 results of our abuse-deterrent studies for Arymo.

3 The abuse-deterrent program for Arymo was
4 developed in accordance with FDA guidance, which
5 recommends that sponsors conduct studies in three
6 categories during the postmarketing/premarketing
7 phase. The extended-release morphine product MS
8 Contin was used as the non-abuse-deterrent
9 comparator throughout the program.

10 I'll begin with Category 1, laboratory-based
11 in vitro studies. This slide shows an overview of
12 the Category 1 studies. The check marks indicate
13 the relevant routes of abuse for each study. We
14 agree with the FDA assessment in their briefing
15 document that these Category 1 data demonstrate
16 that Arymo is hard and resistant to particle size
17 reduction, which would make all routes of abuse
18 more difficult to access.

19 As Dr. Dart mentioned, particle size
20 reduction is the first step to get the product into
21 an abusable form for manipulated oral, intranasal,
22 and IV abuse. I'll start with the results from our

1 single-tool studies.

2 The degree of particle size reduction was
3 considerably lower for Arymo than MS Contin across
4 the 10 mechanical and electrical tools evaluated.
5 After assessing multiple tools, we narrowed it down
6 to these 10 tools, based on two things: one, they
7 represented different methods of manipulation such
8 as cutting, crushing, grating, and grinding; and
9 second, these 10 tools proved most effective at
10 particle size reduction of both Arymo and
11 MS Contin.

12 This figure shows that most of the tools
13 reduced more than half of the MS Contin particles
14 to less than 500 microns. In contrast,
15 manipulation of Arymo produced a very small output
16 of particles less than 500 microns.

17 It is also important to quantify the amount
18 of effort needed to produce this very limited
19 output. We did this using an instrument called
20 ALERRT. ALERRT captures the combination of time,
21 effort, and resources needed to physically
22 manipulate a tablet on a visual analog scale from

1 zero to 100. A score of zero indicates that a
2 tablet was very easy to tamper with, like an
3 uncoated aspirin. A score of 100 indicates a
4 tablet was extremely difficult to manipulate, like
5 a metal nut.

6 Using ALERRT, we evaluated household tools
7 commonly used by abusers that were representative
8 of instruments used for cutting, crushing, grating,
9 and grinding. Four trained laboratory technicians
10 independently applied each tool to Arymo,
11 MS Contin, and a generic immediate-release morphine
12 sulfate tablet. A score for each tool and product
13 was measured.

14 This graph shows the results using the
15 ALERRT instrument applied to tools representative
16 of different methods of manipulation. Higher
17 scores represent greater difficulty in
18 manipulation. Arymo is shown in blue, MS Contin in
19 orange, and immediate-release morphine sulfate
20 tablets are in red.

21 The amount of work needed to manipulate
22 Arymo ranged from 70 to 99 on the 100-point scale,

1 illustrating the extreme difficulty involved in
2 trying to manipulate Arymo.

3 In comparison, for MS Contin and IR morphine
4 sulfate tablets, no tool achieved a score greater
5 than 20, which indicates that these
6 non-abuse-deterrent products are very easy to
7 manipulate. The significantly greater level of
8 effort required to get Arymo into an abusable form
9 is an important abuse-deterrent property, because
10 as we heard from Dr. Dart, abusers want a quick,
11 easy high.

12 Due to the hardness of Arymo tablets, many
13 tools actually broke during attempts at particle
14 size reduction. The upper left photo shows a
15 mechanical crushing tool that broke during
16 manipulation. The middle picture depicts an
17 electric grinding tool with a blade that was broken
18 by Arymo. And in the upper right, another electric
19 grinding tool whose plastic housing broke during
20 the attempt at manipulation.

21 Because single tool manipulation was
22 ineffective in producing small particles of Arymo,

1 we had to go even further to try and defeat the
2 physical barriers of Arymo with sequential
3 multi-tool procedures.

4 Tool F, followed by Tool B, achieved no
5 additional particle size reduction beyond either of
6 the tools alone. Tool F, followed by Tool J,
7 achieved a minimal increase in small particles. We
8 then applied Tool F followed by Tool J, and then
9 Tool B, but that was no more effective in producing
10 small particles than the two-step procedure with
11 Tool F and Tool J.

12 So Tool F was identified as the optimal
13 single tool particle size reduction method, and
14 Tool F, followed by Tool J, was found to be the
15 optimal multi-tool particle size reduction method
16 for Arymo. Tool B alone was sufficient to crush
17 MS Contin to a fine powder.

18 Now we'll look at the drug yield using these
19 respective methods. This slide shows the
20 distribution of particle sizes for the optimized
21 methods of particle size reduction for Arymo. The
22 optimal single tool procedure with Tool F is shown

1 in light blue, and the optimal multi-tool procedure
2 with Tool F followed by Tool J is shown in dark
3 blue.

4 These findings demonstrate what is concluded
5 in the FDA briefing materials, that multiple
6 manipulations used in sequence did not yield any
7 significant changes in particle size reduction
8 compared to single tool manipulation.

9 In contrast, MS Contin was reduced to a fine
10 powder by a single tool, and this resulted in a
11 high yield of small particles. The highlighted
12 area shows particles smaller than 500 microns, a
13 size recognized by the FDA as amenable to snorting.

14 Comparing Arymo and MS Contin, only
15 1 to 5 percent of Arymo particles were amenable for
16 snorting, as compared to more than 75 percent of
17 MS Contin particles.

18 We then tried pretreating Arymo tablets with
19 different temperatures before applying the maximal
20 particle size reduction method. In this
21 experiment, before pretreatment, nearly
22 three-quarters of MS Contin particles were reduced

1 to smaller than 500 microns with a single tool.
2 Therefore, we did not further evaluate MS Contin in
3 this study.

4 We pretreated Arymo with three different
5 temperatures followed by the optimal multi-tool
6 method. None of the pretreatments resulted in a
7 meaningful increase in the yield of small
8 particles.

9 Next, I'll move to the study that assessed
10 the tablet hardness of Arymo to determine the
11 feasibility of chewing, which is the most common
12 form of manipulated oral abuse.

13 We evaluated the hardness of Arymo and
14 MS Contin tablets using a conventional hardness
15 tester. We determined that the hardness of Arymo
16 exceeded 400 newtons, which was the limit of the
17 tester. This compares to the hardness of
18 MS Contin, which was 63 newtons.

19 While the force generated with routine
20 mastication is in the range of 70 to 150 newtons,
21 the average maximum human bite force is
22 300 to 350 newtons. Therefore, we concluded that

1 Arymo would be very difficult or impossible to
2 chew, and chewing would not be an effective method
3 of manipulation in the oral human abuse potential
4 study.

5 Next, in vitro experiments for IV injection
6 assessed the feasibility of small-volume extraction
7 and syringeability. This is important because IV
8 injection is the most common non-oral route of
9 abuse for morphine and is also the most dangerous.
10 These pictures show what happens to Arymo and
11 MS Contin when exposed to small volumes of liquid
12 after particle size reduction. Arymo deters IV
13 injection by forming a viscous hydrogel, while
14 MS Contin can be easily prepared for injection.

15 The first IV experiment evaluated how much
16 morphine could be extracted in small volumes of
17 injectable solvents after optimal particle size
18 reduction. Even with modifications to temperature,
19 less than 10 percent of morphine could be extracted
20 from Arymo, but this was in volumes of solvent not
21 typically used by IV abusers. In contrast,
22 52 to 66 percent of morphine was extracted from

1 MS Contin under the same conditions.

2 The Gel Blob syringeability study was
3 conducted to evaluate whether the gelling effect of
4 Arymo could be overcome with longer extraction
5 times. Twelve different extraction conditions were
6 evaluated including long extraction times out to
7 4 and 24 hours, using two different solvents, and
8 testing Arymo under three conditions: intact;
9 manipulated with the optimal single-tool method
10 with Tool F; or manipulated using the optimal
11 multi-tool method with Tool F followed by Tool J.

12 In 9 of the 12 conditions, less than
13 10 percent of morphine could be drawn up into a
14 syringe of any size. In the remaining 3
15 conditions, between 16 and 18 percent of morphine
16 could be syringed from the Gel Glob. However, this
17 required the largest needle evaluated,
18 needle gauge D. This represents an extreme case
19 because this needle size is much larger than the
20 needles commonly used for IV abuse.

21 Because of the IV findings, and based on the
22 Category 1 results, we determined that subjecting

1 human beings to an IV abuse potential study with
2 Arymo would be neither feasible nor ethical.

3 Next, I'll cover large-volume extraction,
4 which is relevant primarily to the manipulated oral
5 route of abuse, but could also be used for the IV
6 and nasal routes. The full battery of solvents was
7 shown in your briefing book. I will review the
8 results of two model solvents. These were
9 representative of different pH and polarity and
10 were highlighted in the FDA briefing book.

11 We assessed extraction with Arymo tablets at
12 all to-be-marketed dosage strengths. Tablets were
13 manipulated using the optimal multi-tool method.
14 As a reference, the red line shows the recent
15 recommendation from the FDA draft guidance for
16 generic abuse-deterrent opioid development that
17 identifies 80 percent extraction within 30 minutes
18 as a threshold for failure of abuse deterrents
19 against extraction. This threshold is what
20 characterizes immediate-release products. Eighty
21 percent extraction at 30 minutes was not achieved
22 in these two model solvents.

1 Finally, the in vitro alcohol dissolution
2 study tested the potential for alcohol dose dumping
3 with intact Arymo. We measured the amount of
4 morphine released from an intact Arymo tablet in
5 simulated gastric fluid in various alcohol
6 concentrations ranging from zero to 40 percent. We
7 found that alcohol did not accelerate morphine
8 release. In fact, higher concentrations actually
9 slowed morphine release.

10 Despite no evidence of alcohol dose dumping,
11 if approved, the label for Arymo would state that
12 it should not be taken with alcohol.

13 I will now review the Category 2/3 studies
14 for the manipulated intranasal and oral routes.
15 Category 2 pharmacokinetic studies evaluated
16 whether Arymo could be converted into an
17 immediate-release profile after tampering.
18 Category 3 pharmacodynamic studies evaluated
19 important subjective endpoints, including drug
20 liking and take drug again.

21 I will begin with our intranasal human abuse
22 potential study, a randomized, double-blind, active

1 and placebo-controlled 5-period crossover study.
2 It was conducted in adult subjects who were non-
3 dependent, recreational opioid users experienced
4 with snorting prescription opioids. Forty-six
5 subjects completed the study.

6 There were five treatment arms. All
7 treatments were prepared by the site pharmacy and
8 then administered to subjects in a blinded manner.
9 MS Contin was crushed with Tool B, while Arymo was
10 prepared with Tool F followed by Tool J.

11 Since Arymo cannot be crushed into a fine
12 powder, we included two different manipulated Arymo
13 treatment arms. In one arm, subjects snorted all
14 the manipulated product. In the other arm, the
15 manipulated Arymo tablet was sieved to remove large
16 particles that would be difficult to snort. An
17 intact Arymo treatment arm and placebo arm were
18 also included.

19 The primary endpoint was maximum drug liking
20 or Emax measured real-time out to 24 hours
21 post-dose. Key secondary endpoints included
22 overall drug liking and take drug again assessed at

1 12 and 24 hours post-dose. The drug effects
2 questionnaire evaluates important aspects of the
3 drug taking experience, such as feeling high. This
4 was administered real-time out to 24 hours
5 post-dose. Pharmacokinetic parameters including
6 Cmax, Tmax, and area under the curve were measured
7 out to 24 hours.

8 This graph shows the results of the primary
9 endpoint, Emax, or maximum drug liking. The
10 bipolar 100-point drug liking visual analog scale
11 is plotted on the Y-axis. As indicated on the
12 right, a score of 50 represents a neutral response,
13 100 is strong liking, and zero is strong disliking.
14 As you can see, both manipulated Arymo treatment
15 arms demonstrated statistically significant
16 reductions in Emax compared to crushed and snorted
17 MS Contin, so the co-primary endpoints were met.

18 Moving to the key secondary endpoints. For
19 both manipulated and snorted Arymo treatment arms,
20 subjects reported significantly lower willingness
21 to take the drug again and overall drug liking,
22 compared to crushed and snorted MS Contin. Scores

1 on these endpoints for manipulated and snorted
2 Arymo were similar to or lower than both intact
3 oral Arymo and snorted placebo powder. These data
4 corroborate and support the results of the primary
5 endpoint.

6 These graphs show two key parameters from
7 the drug effects questionnaire, which are measured
8 using a unipolar scale. As you can see, both Arymo
9 treatment arms were associated with significantly
10 lower ratings than crushed and snorted MS Contin on
11 visual analog scales for drug high and good
12 effects. This provides further support for the
13 reduced abuse potential of intranasal Arymo.

14 Turning now to the pharmacokinetic results.
15 These are the morphine plasma concentration curves
16 over the first 6 hours after intranasal
17 administration. Crushed and snorted MS Contin
18 produced a considerably higher Cmax and earlier
19 Tmax, compared to either of the manipulated Arymo
20 arms after snorting.

21 The dotted light blue line is the PK curve
22 for manipulated and sieved Arymo. The low morphine

1 plasma concentration demonstrates that sieving
2 Arymo to remove large particles results in a loss
3 of a substantial amount of morphine.

4 So overall, the PK results from the
5 intranasal HAP study are consistent with and
6 supportive of the primary and secondary
7 pharmacodynamic outcomes. Based on these results,
8 we conclude that Arymo has a reduced potential for
9 intranasal abuse compared to MS Contin.

10 Next, I will discuss the results from our
11 oral human abuse potential study, a randomized,
12 double-blind, triple-dummy, 4-period crossover
13 study of non-dependent recreational opioid users.
14 Thirty-eight subjects completed the study.

15 The most common method of manipulation for
16 oral abuse potential studies has been chewing.
17 However, because of the hardness of Arymo, chewing
18 would not be an effective method to achieve
19 particle size reduction and would also pose a
20 potential safety risk to subjects. Therefore,
21 Arymo had to be manipulated with a tool by the
22 clinical pharmacist and then given to subjects for

1 oral consumption in this study.

2 There were four treatment arms. To ensure
3 consistency of dosing, the clinical pharmacist
4 conducted the manipulation for all products in
5 advance. Each manipulated product was administered
6 to subjects in a blinded fashion.

7 MS Contin was crushed into a fine powder
8 with Tool B. Arymo was manipulated with the
9 optimal single-tool procedure, Tool F. This took
10 more time and effort than needed to crush
11 MS Contin, but provided a very low yield of small
12 particles.

13 This study also included an intact Arymo arm
14 and a placebo arm. The endpoints in the oral study
15 were the same as those in the intranasal study
16 without the scale specific to snorting.

17 This graph shows the results of the primary
18 endpoint, Emax drug liking. Again, this is a
19 100-point bipolar scale where 100 is strong liking,
20 50 is neutral, and zero is strong disliking.
21 Manipulated Arymo showed a statistically
22 significant reduction in maximum drug liking

1 compared to crushed MS Contin, so the primary
2 endpoint was met.

3 This graph shows the time course of mean
4 drug liking for the different treatment arms. As
5 you can see, drug liking was higher during the
6 first few hours for crushed MS Contin, represented
7 by the dotted orange line, compared to manipulated
8 Arymo, shown by the dotted blue line.

9 Of note, the area under the drug-liking
10 curve through 4 hours after dosing was
11 significantly lower for manipulated Arymo compared
12 to crushed MS Contin.

13 The secondary endpoints, take drug again,
14 and overall drug liking were assessed only at
15 12 and 24 hours after dosing; also on a bipolar
16 visual analog scale. The scores for manipulated
17 Arymo were lower than those for crushed MS Contin,
18 but the differences did not reach statistical
19 significance.

20 As we interpret these results, it is
21 important to remember that subjects did not have to
22 manipulate MS Contin or Arymo themselves to get the

1 drugs into abusable forms. It was done for them.
2 When subjects were asked about their overall drug
3 liking and if they would take the drug again, they
4 had not experienced the greater difficulty and
5 greater challenge of physically manipulating Arymo.

6 Significant differences were observed on the
7 drug effects questionnaire endpoints: drug high
8 and good effects. These particular domains are
9 relevant as another way of assessing positive drug
10 effects that could lead to abuse.

11 Turning to the pharmacokinetic results.
12 This figure shows the PK curves for each of the
13 treatments over the first 6 hours after dosing.
14 The PK profile of crushed MS Contin again showed a
15 high Cmax and an early Tmax. We know from the
16 literature that this PK profile begins to approach
17 that of immediate-release morphine, but not to the
18 point of losing the extended-release properties.
19 Compared to crushed MS Contin, Arymo shows a lower
20 Cmax and longer Tmax, maintaining more of its
21 extended-release properties.

22 The fact that MS Contin does not completely

1 turn into an immediate-release product when crushed
2 is relevant because clinical HAP studies of other
3 abuse-deterrent formulations have often used an
4 immediate-release form of the opioid as the
5 comparator. Overall, the PK data are consistent
6 with and supportive of the PD outcomes.

7 The totality of the Category 1, 2, and 3
8 data support that Arymo has a reduced potential for
9 manipulated oral abuse compared to MS Contin.

10 To conclude, the Category 1, 2, and 3
11 studies demonstrate that Arymo would be expected to
12 deter abuse by all common routes. This effect is
13 primarily driven by Arymo's robust physical
14 characteristics and resistance to particle size
15 reduction.

16 Looking first at IV abuse deterrents. Since
17 Arymo gels in solution, it is difficult to extract
18 and draw into a syringe. In regard to deterring
19 intranasal abuse, Arymo is difficult to reduce to a
20 snortable powder. The Category 2/3 study was
21 statistically significant for all primary and
22 secondary endpoints. Importantly, pharmacokinetic

1 results were consistent with pharmacodynamic
2 results.

3 Finally, in regard to the oral route, Arymo
4 tablets would be very difficult or impossible to
5 chew, which prevented chewing as a method of
6 manipulation for the oral HAP study. Even with
7 rigorous manipulation in the clinical pharmacy,
8 Arymo met its primary endpoint and demonstrated a
9 statistically significant reduction in Emax drug
10 liking, compared to MS Contin. These results were
11 supported by the secondary outcomes, and again, the
12 PK results were consistent with the PD results.

13 Thank you very much for your attention. I
14 will now turn the presentation over to
15 Dr. Nathaniel Katz to provide his clinical
16 interpretation of the data.

17 **Applicant Presentation - Nathaniel Katz**

18 DR. KATZ: Good morning. My name is
19 Nathaniel Katz, and I'm the CEO of Analgesic
20 Solutions, and associate professor of anesthesia at
21 Tufts University School of Medicine in Boston. I'm
22 a neurologist and pain specialist, and have spent a

1 good bit of the last 25 years trying to better
2 understand both the benefits, as well as the harms,
3 of opioids in the treatment of pain. Much of that
4 work has been focused on better understanding the
5 abuse potential of opioids.

6 You have been asked to provide guidance to
7 the FDA on whether Arymo should be approved for the
8 treatment of chronic pain and whether it should be
9 labeled as abuse-deterrent for the IV, nasal, and
10 oral routes of abuse. I will now offer you a
11 perspective on both of these questions.

12 First, Arymo has met the regulatory standard
13 for approval because it is bioequivalent to
14 MS Contin. This rationale is based on the fact
15 that for extended-release opioids, pharmacokinetic
16 equivalence leads to therapeutic equivalence.

17 Furthermore, food has no clinically
18 significant effect on the absorption of Arymo, and
19 the release of morphine does not accelerate in the
20 presence of alcohol, which are both additional
21 beneficial features.

22 Moving on to whether Arymo should receive

1 abuse-deterrent labeling, the key question is
2 always the clinical relevance of the findings from
3 the premarketing studies. In other words, how do
4 you know whether premarketing studies of
5 abuse-deterrents predict real-world reductions in
6 abuse?

7 There are essentially two ways to try to
8 figure this out. The first is to compare results
9 from premarketing studies of abuse-deterrent
10 products to real-world observations of whether
11 those same products actually deter abuse.

12 The second approach involves using
13 established psychometric methods to determine the
14 clinically important difference of an endpoint in a
15 human abuse potential study that is associated with
16 a change in a real-world drug taking behavior.

17 Let's start with the first approach and look
18 at the IV route of abuse, which is the most
19 dangerous. The label for reformulated
20 abuse-deterrent OxyContin states that it forms a
21 viscous hydrogel when subjected to an aqueous
22 environment resisting passage through a needle.

1 As Dr. Dart showed us earlier, a number of
2 studies have shown that the real-world intravenous
3 abuse of OxyContin drops substantially after its
4 reformulation. In other words, the in vitro
5 finding of non-syringeability predicted a reduction
6 in the intravenous abuse of OxyContin in the
7 real-world. Since Arymo also demonstrates this
8 property in vitro, it seems reasonable to provide
9 this label expecting similar deterrents against IV
10 abuse in the real-world.

11 Similarly, one can look at the intranasal
12 human abuse potential study of OxyContin, which
13 showed that the maximum drug liking was about
14 14 millimeters lower for the abuse-deterrent
15 formulation, compared to the original formulation.
16 This difference in drug liking also appeared
17 predictive of real-world abuse.

18 Several studies have indicated that the
19 nasal abuse of OxyContin declined substantially
20 after the new formulation was introduced. In the
21 human abuse potential study for Arymo, the
22 differences in maximum drug liking between Arymo

1 and MS Contin were similar to the difference
2 between the original and reformulated OxyContin.
3 Therefore, it's reasonable to expect that Arymo
4 will also deter nasal abuse.

5 While no drugs labeled as abuse-deterrent by
6 the oral route have been prescribed enough to
7 generate data on real-world reductions in abuse by
8 those routes, we can still make some reasonable
9 predictions about Arymo.

10 As you heard earlier, chewing is the most
11 common form of manipulation for oral abuse of
12 extended-release opioids. The hardness of Arymo,
13 which is greater than 400 newtons, is higher than
14 the average maximum biting forces reporting in the
15 literature, which, as you heard, range from about
16 300 to 350 newtons. Therefore, it's reasonable to
17 conclude that it would be very difficult or
18 impossible to chew Arymo.

19 Turning to the other way to assess clinical
20 relevance, as far as I know there are only two
21 studies that have attempted to define the
22 clinically important difference for endpoints in

1 human abuse potential studies. I was involved with
2 the first one where we estimated the clinically
3 important difference for Emax drug high as a
4 predictor of real-world abuse.

5 We used a variety of different methods and
6 found that a difference in Emax drug high of
7 8 to 10 millimeters on a unipolar scale was
8 associated with the clinically important changes in
9 a real-world drug-taking behavior. We did not look
10 at the drug liking endpoint in that study since it
11 was not available to us across the clinical trials
12 that we had access to at that time.

13 The second study on this issue of clinical
14 important differences took a meta-analytic
15 approach. Data from a number of human abuse
16 potential studies were compared to real-world abuse
17 rates from two large national surveys of
18 prescription drug abuse. These investigators
19 determined that a 5-point reduction in Emax drug
20 liking, that's the endpoint that I didn't look at,
21 which was measured on a bipolar scale, would
22 predict a 20 percent reduction in lifetime non-

1 medical use of an abuse-deterrent extended-release
2 morphine product, which I think is clinically
3 significant.

4 While these studies have a number of
5 limitations, they provide us the best guidance that
6 we currently have to determine the clinical
7 importance of endpoints in human abuse potential
8 studies.

9 Now let's consider the Arymo data in light
10 of these benchmarks.

11 Shown here are the results for Emax drug
12 high, and Emax drug liking, for the nasal human
13 abuse potential study. The differences between
14 manipulated Arymo versus MS Contin for Emax drug
15 high ranged between 33 and 45 millimeters, which
16 exceeded the 8 to 10 millimeter clinically
17 important difference threshold I showed you for
18 that measure. For Emax drug liking, differences of
19 12 to 18 millimeters also exceeded the clinically
20 important difference threshold I showed you, of
21 5 millimeters. Those are the nasal studies.

22 In the oral study, after the drug had been

1 optimally manipulated by the study pharmacy and
2 then administered to the subjects orally, there was
3 a 13-millimeter difference for Emax drug high, and
4 a 5-millimeter difference for Emax drug liking.
5 The FDA briefing package raises the question of
6 whether a 5-millimeter difference in Emax drug
7 liking is clinically meaningful. This is a
8 reasonable question since the differences in the
9 oral study are smaller than the differences shown
10 in the nasal study.

11 To address this concern, the differences
12 between Arymo and MS Contin are at, or a bit
13 beyond, the clinically important difference
14 threshold established in the two studies I
15 presented. At a minimum, these results indicate
16 that Arymo is likely to be an incremental
17 improvement over non-abuse-deterrent
18 extended-release morphine products by this route of
19 abuse.

20 It is important to remember that the
21 subjects in this oral abuse study did not
22 experience the primary abuse-deterrent attribute of

1 Arymo, which is that it's difficult to manipulate
2 Arymo to get it into a more abusable form in the
3 first place.

4 In this experiment, the manipulation had to
5 be conducted by a pharmacist to keep the study
6 blinded and also keep dosing consistent. In the
7 real-world, the difficulty in manipulating Arymo
8 might impact an abuser's assessment of a drug
9 liking and their interest in taking the drug again.

10 In summary, the totality of the data support
11 that Arymo has features that can be expected to
12 deter abuse by the three routes under discussion
13 today. For the IV route, Arymo resists extraction
14 through 24 hours and its gelling properties make it
15 difficult to inject through a needle.

16 For the nasal route, because of Arymo's
17 resistance to particle size reduction, there was a
18 low yield of small particles and recreational
19 abusers liked snorting Arymo significantly less
20 than crushed MS Contin.

21 For the oral route, the primary way that
22 people tamper with extended-release morphine

1 products is by chewing. Because of the hardness of
2 the tablet, chewing Arymo would be difficult or
3 impossible.

4 Manipulations for oral abuse with tools are
5 less common. Nonetheless, substantially more
6 effort was required to prepare Arymo for oral
7 administration compared to MS Contin. Despite this
8 additional effort, Arymo's liking scores were still
9 lower.

10 In summary, the data on the abuse potential
11 of Arymo suggests that Arymo can be expected to
12 deter abuse through all common routes. This
13 information will be important for prescribers to
14 consider when choosing an extended-release opioid
15 to treat their patients with chronic pain.

16 The progressive replacement of
17 non-abuse-deterrent formulations with
18 abuse-deterrent formulations can be expected to
19 reduce the harm associated with tampering and abuse
20 of extended-release opioids in the United States.

21 Thank you. This concludes our presentation.
22 I'll now turn the lectern back to Dr. Dayno to

1 answer your questions.

2 **Clarifying Questions**

3 DR. BROWN: Are there any clarifying
4 questions for Egalet at this time? Please remember
5 if you're asking a question to state your name for
6 the record before you speak, and if you can, please
7 direct questions to a specific presenter.

8 Dr. Emala?

9 DR. EMALA: I have two questions I think
10 both for Dr. Dayno. First one, slide 43. Both my
11 questions have to do with large-volume extraction
12 data. I note that this is the amount extracted at
13 30 minutes in two different solvents, and I just
14 wanted to confirm that the 30-minute time point is
15 based on -- and the 80 percent cutoff based on FDA
16 recommendations, because I'm a little surprised
17 that one would stop at 30 minutes in the sense that
18 putting this into a simple solvent and letting it
19 sit overnight seems to me to be a potential
20 direction.

21 But are the 30 minutes and the 80 percent
22 based on FDA recommendations?

1 DR. DAYNO: So the large-volume extraction
2 studies were carried out to 24 hours. The
3 80 percent criteria are based on the draft guidance
4 for generic abuse-deterrent opioid developments as
5 a potential threshold at 30 minutes. But there's
6 data that I can share with you on this slide,
7 looking at the 1-hour time point and the
8 large-volume extraction across the panel of 18
9 solvents.

10 We also have an extraction-over-time curves
11 that we can show you. It would take it out to
12 longer periods of time, beyond 30 minutes.

13 If we can bring up the large-volume
14 extraction over time?

15 DR. BROWN: Dr. Hertz, do you have a
16 comment?

17 DR. HERTZ: Yes. We do not recommend
18 sponsors refer to the draft generic guidance for
19 developing novel products. That guidance is
20 intended to assist sponsors who are trying to
21 compare a generic with an innovator that already
22 has been labeled with abuse-deterrent properties.

1 It's not relevant for criteria for a new product.

2 DR. DAYNO: In terms of the extraction over
3 time, we will get that data for you after the break
4 to show you carried out over time.

5 DR. EMALA: Yes, as a follow-up to that, in
6 your briefing document in figure 20 where
7 solvent 18 is looked at again at 30 minutes,
8 there's a text comment in the briefing document
9 that the extraction actually decreased at
10 subsequent time points. So it would be
11 particularly interesting to see solvent 18 over
12 time.

13 DR. DAYNO: Okay. I can bring up Dr. Cone
14 to provide the explanation of why it decreased over
15 time.

16 DR. CONE: Solvent 18 -- well first let me
17 say this is a range of different solvents across a
18 broad range as recommended by the FDA guidance.
19 And most of these are not practiced in the
20 real-world very much. Solvent 18 is a particularly
21 toxic solvent, and if you extract the product after
22 manipulation, eventually you can get a substantial

1 portion of the drug out.

2 Does that address your question?

3 DR. EMALA: Yes. Thank you.

4 DR. BROWN: Dr. Novak?

5 DR. NOVAK: I think from the real-world
6 abuse studies, we know that abusers are very
7 creative. And I was a little curious in terms of
8 smoking and using foil to inhale product, while
9 rare, it still is common. And I notice that none
10 of the laboratory studies addressed any of that.
11 So can you speak to a little bit about that?

12 DR. DAYNO: Yes. So simulated smoking
13 studies were conducted as a part of the Category 1
14 panel and some of the challenges in producing
15 vaporized morphine, and Dr. Cone, as being involved
16 in some of those experiments, can give you that
17 rationale.

18 DR. CONE: We tried to simulate how people
19 smoke. It's pretty rare, but some people attempt
20 to smoke any opioid there is. So in the
21 laboratory, we set up a simulated vaporization
22 process that is as close as we could get to the way

1 it's practiced in the real world.

2 DR. DAYNO: And just to add to that, the
3 part of the briefing materials, less than 3 percent
4 of the morphine was produced in those simulated
5 smoking studies.

6 DR. NOVAK: And does that differ than to the
7 comparison products?

8 DR. DAYNO: So Dr. Cone, so compared to --

9 DR. NOVAK: MS Contin.

10 DR. DAYNO: Compared to morphine comparator.

11 DR. CONE: I want to understand your
12 question a little better. Could you repeat it
13 again?

14 DR. NOVAK: That's a simple question. I
15 guess it sounds like you conducted a simulated
16 smoking study. Did you compare it against the
17 comparator and what were the results? Were they
18 favorable, unfavorable, about the same?

19 DR. CONE: Yes. What we typically do for
20 any product is we start with reference standards of
21 the salt and free base and identify the most
22 optimal condition that is suitable for

1 vaporization, and then we test the product. For
2 the comparators, we could get very good
3 vaporization for the reference material, but for
4 the product we got -- it just didn't vaporize out
5 of the matrix. So we got very trace amounts, and
6 we took temperatures up to the point of
7 degradation.

8 DR. BROWN: Dr. Gerhard?

9 DR. GERHARD: Tobias Gerhard, Rutgers.
10 First, a comment briefly to FDA just echoing a
11 comment that we heard earlier in the closed
12 session, just a call to think about standardizing
13 the physical tools that were used for the
14 manipulation. I think that both in the choice of
15 the specific tools within the categories that were
16 used, I think might make real differences. And now
17 having been at several of these meetings looking at
18 abuse-deterrent formulations, I've certainly
19 noticed that there are different tools used
20 in -- of these meetings for different studies.

21 Here it seems that it was a somewhat smaller
22 set of tools used than we've seen in some of the

1 earlier studies, so it makes it very difficult to
2 compare.

3 Now to my question for the sponsor, and I
4 think this is for Dr. Dayno as well, if I follow
5 this correctly, then the method of physical
6 manipulation used for the intranasal studies were
7 different than the ones used for the oral study.
8 One was the multi-tool methods including
9 Tools F and up to J. I'm not sure whether there
10 were two or three tools used there. And then, in
11 the oral study, if I follow this correctly, it was
12 only Tool F that was used?

13 DR. DAYNO: That's correct.

14 DR. GERHARD: If so, then do you have data?
15 You show the ALERRT data on slide 28 showing the
16 difficulty of manipulation. Here, Tool F is not
17 shown. So one question would be whether you have
18 any data for this specific tool.

19 One other question would be, is this
20 relative data? Have the subjects that gave these
21 scores basically performed the manipulation of all
22 three dosage forms here and then scored, so that

1 it's relative? Or is this somebody that just looks
2 at the new product, tries to manipulate it, and
3 gives it a score that's very easy or extremely
4 difficult?

5 Just saying, because if you manipulate a
6 product, that basically offers no resistance and
7 then you score that in comparison to that, you
8 might get much bigger differences than if you
9 basically let a subject naively manipulate the new
10 product and then give a score.

11 My last question would be, while this is
12 useful to give a degree of difficulty of
13 manipulation, do you have -- particularly for the
14 method used, but maybe for some of the other
15 methods as well -- just an estimate of how much
16 time it takes to manipulate the drug?

17 From the description of the methods in the
18 closed session, this doesn't seem to take a lot of
19 time or effort. While it might not be as simple as
20 manipulating a product that poses no resistance, it
21 doesn't seem that this would take a lot of time.

22 You kind of alluded to the fact that the

1 subjects that rated drug liking in the other
2 measures didn't have to do the manipulation
3 themselves. If they had to do that, maybe they
4 would have liked the drug even less. But while
5 that argument obviously has some face validity, it
6 would very much depend on the effort of time and
7 the difficulty of the manipulation.

8 DR. DAYNO: Yes, I understand. Several
9 questions there. Let me start with the development
10 process and the logic and the flow in terms of how
11 tools were selected, and I'll try to break down the
12 different questions.

13 In the exploratory phase, we actually
14 started with 25 tools representative of the
15 different methods of manipulation. So we started
16 with a larger panel of tools to see what would be
17 effective. From that larger group, we got to
18 10 tools, both mechanical and electrical
19 instruments, and that was in a screening phase.

20 We tested MS Contin to failure and crushed
21 it to a fine powder. The time frame was Arymo was
22 tested up to 5 times longer, or to tool failure, to

1 compare to MS Contin. We thought that was a
2 reasonable amount of time, because MS Contin could
3 be defeated so easily.

4 But after that, MS Contin only required a
5 single tool. Beyond that, we then went further,
6 and in discussions with the FDA, looked at
7 sequential multi-tool manipulation, and that's how
8 we got to F to J. At that point, time wasn't as
9 much of a factor as the optimized combination of
10 tools and the optimized method, to arrive at that
11 one.

12 So at the end of all the testing, we had
13 optimized single-tool manipulation, Tool F, and
14 then the optimized multi-tool manipulation F to J.

15 If I could then answer the question about
16 why the two different methods in the studies. So
17 the oral HAP study compared intranasal. It begins
18 with -- it's a route specific thought process. For
19 the oral HAP, chewing is the most common form of
20 oral manipulation, and we explained why we felt
21 chewing would be very difficult and pose a safety
22 risk, so we had to go further and select tools. We

1 had both the optimal single-tool and multi-tool.

2 If I could have slide OD-6, in terms of the
3 difference in particle size reduction for Tool F
4 versus Tool F to J, you see that in both of these
5 manipulations the vast majority of particles are
6 greater than a thousand microns. As noted in the
7 FDA briefing book, there was no significant
8 different in particle size reduction in the two
9 methods.

10 I think it's important that in terms of the
11 oral HAP study, it couldn't be conducted with
12 chewing. For the intranasal HAP, since particle
13 size reduction is the key thing, we tried to give
14 it the best effort, even though the yield was still
15 small.

16 Back to ALERRT. I'm sorry. There was
17 another question. In terms of the ALERRT findings,
18 I will ask Dr. Cone, who was involved in the
19 development of the instrument and how it's tested
20 with laboratory technicians, to respond to your
21 question.

22 DR. CONE: Yes. We selected tools early in

1 the program, and we spent thousands of hours trying
2 to find the right tools that would reduce this
3 product. This is the hardest tablet I've ever
4 worked with. So we tried single tools and multiple
5 tools to find whatever the best way would be to get
6 the product reduced down to a snortable size.

7 In the ALERRT study, we chose the tools as
8 representative across the range. Tool F just
9 didn't happen to get selected in that selection,
10 but we had other tools that represented the same
11 mechanism of particle size reduction.

12 I think the effort in every regard in the
13 ALERRT was to get a subjective measure of work, and
14 the amount of effort that these technicians
15 reported trying to work on these products was just
16 out of sight. This product is the most difficult,
17 it's just like a rock, so we spent a lot of time
18 looking at it.

19 DR. GERHARD: Just to clarify, the
20 technicians did all these manipulations and then
21 scored it relative to each other.

22 DR. CONE: Yes.

1 DR. GERHARD: Then the other question, do
2 you have an estimate of how long Tool F, the
3 manipulation for the oral study, how long did it
4 take the pharmacist that prepared, or the
5 technician that prepared the drug? How long did
6 that process take?

7 DR. DAYNO: I can respond to that, Dr. Cone.
8 Preparation Tool F in the HAP study, it was done
9 for 3 minutes, but if I could have the slide
10 showing in these methods of manipulation, there was
11 a plateau effect. So when you tried to manipulate
12 it for more time, it plateaued, and there was no
13 greater yield of small particles.

14 Let me share that data with you on this
15 slide here. This is Tool F at 3 minutes, and that
16 was the procedure in the oral HAP study, and then
17 out to 5 minutes. Because of the characteristics
18 of the tablet and that method of manipulation and
19 that tool, it plateaus. So there was no greater
20 yield beyond that time point.

21 DR. GERHARD: Makes perfect sense, but
22 basically we're talking about a 3-minute effort to

1 put in before the manipulated product is available,
2 yes.

3 DR. BROWN: Dr. Gupta?

4 DR. GUPTA: I have a question about
5 slide 43. This represents temperature A and
6 agitation B. Do you have the data for
7 temperature B and agitation B for solvents 9 and
8 10?

9 DR. DAYNO: I can see if we can get you
10 those data after the break. I don't have them
11 right now. We'll look for them after the break.

12 DR. GUPTA: Okay. All right. Regarding the
13 various studies that you did, the oral HAP,
14 intranasal HAP, you demonstrated manipulation with
15 intact oral and the manipulated product. Is there
16 data that you have on this 2-step manipulation with
17 the solvent, and then use some other type of
18 manipulation in these studies; or just the solvent
19 and then administration?

20 DR. DAYNO: In reference to the large-volume
21 extraction solvents?

22 DR. GUPTA: Correct. Yes. Particularly

1 solvent, I think it was 9 and 10. I'm just
2 wondering if those were evaluated in those studies
3 after -- if someone were to use those solvents,
4 extract the medication, and then administer it, do
5 you have results on that?

6 DR. DAYNO: So the panel of testing that we
7 did to try to be representative of different
8 methods, to try to defeat the product in different
9 tools and extraction methods, did not include
10 looking at extraction in large volume and then
11 manipulation once it was put in. But I'd like
12 Dr. Cone to comment on the question of the
13 two-phase extraction or going further, and what
14 would happen with this form in terms of the output.

15 DR. CONE: When we did the extractions, we
16 got recovery of morphine, but for most of the
17 common solvents, the two that you mentioned as
18 well, they have characteristics where they dissolve
19 the PEO as well. So if you evaporated the solvent,
20 you would end up with a gooey mess like you have
21 seen in pictures for the injection study.

22 Another way of approaching it is to try to

1 take that solvent and do a liquid-liquid
2 extraction, and we did try that as well. When we
3 did a liquid-liquid extraction, we ended up with
4 less than 20 percent of morphine; most of it was
5 left behind.

6 DR. DAYNO: And I would also add to that,
7 what is unique about this technology and the
8 formulation is that given the injection molding
9 process, the PEO and the morphine are blended
10 together in this matrix.

11 So even at cut surfaces and with particle
12 size reduction at the surface and surface erosion,
13 there's still the element of the controlled-release
14 aspect because of the way it's manufactured and it
15 comes together.

16 DR. BROWN: Dr. Farrar?

17 DR. FARRAR: Thank you. I have a couple of
18 clarifying questions and then a third question,
19 too. With regards to the large solvent, I was
20 wondering what the volumes were approximately.

21 The second one I think you just answered,
22 but in the sieved particles, if you were to combine

1 the sieved particles at the lower end of the scale
2 with water, would you again get the gooey mess, as
3 you described it? Implying that if people were to
4 snort it, they would get some of the other agents
5 used in the particles as well.

6 Then the third is just to be very clear that
7 there has been safety data relative to the
8 propylene product that's used in the manufacturing.

9 DR. DAYNO: I'm sorry; I didn't hear the
10 last part of your third question.

11 DR. FARRAR: The issue is whether there is
12 safety data about the process used to create the
13 tablet in the first place; is there any reason to
14 believe that the tablet itself, or if it were
15 manipulated in some way, that the broken particles
16 would do damage in some way or create a problem for
17 the patient.

18 DR. DAYNO: Okay. The first question in
19 terms of volumes of solvent, in the exploratory
20 phase, you see data with a 100 milligrams. That
21 was done in 50 mLs of solvent in the early phase of
22 the program. When testing the proposed

1 to-be-marketed dosage strengths, consistent with
2 FDA guidance, it was done in 200 mLs of solvents.

3 The second question, the tablet is
4 exquisitely sensitive to fluid, so even the small
5 particles, if they would be mixed, would gel. The
6 gelling properties, because of the PEO, it's very
7 sensitive, so those would likely gel as well.

8 The last question, polyethylene oxide, it's
9 a release-controlling agent that's extensively used
10 in pharmaceutical products across multiple
11 therapeutic areas. It's a compendial-listed
12 excipient and considered to be safe. It's also
13 listed on the FDA's inactive ingredients database.

14 DR. BROWN: Dr. Bilker?

15 DR. BILKER: Yes. I have a question about
16 the gelling property. If one of these tablets were
17 softened in some way, say placed in the mouth and
18 softened with even saliva, or softened with
19 prolonged exposure to -- they'd steam it somehow,
20 they'd come up with difficult ways of softening the
21 tablets -- and then chewed, the gel was chewed,
22 does the gelling property prevent circumventing the

1 ER product, the ER properties if the gel itself is
2 chewed?

3 DR. DAYNO: The PEO, even in that form, it
4 continues to retain some of the extended-release
5 properties. In terms of the tablet swelling,
6 across the clinical development program, there were
7 no reports of tablets swelling or getting stuck in
8 the throat.

9 DR. BILKER: My question was, if somebody
10 were to actually chew the gel, I guess like gum,
11 would it release -- would that circumvent the ER
12 property?

13 DR. DAYNO: I don't know the answer to that.
14 We did not expose any subjects to potentially
15 trying to chew because of the hardness of the
16 tablet and what we shared with you.

17 DR. BILKER: After it softened as a gel, if
18 they actually chewed the gel, which they would be
19 able to do, right? Would that release the
20 substance?

21 DR. DAYNO: I mean, eventually it would. As
22 we know, these products are designed to be

1 abuse-deterrent and not abuse-proof. So the
2 morphine eventually has to release to be an
3 effective analgesic.

4 DR. BROWN: We're going to stop at that
5 point and take a break until 11:15. There are many
6 other folks that would like to ask clarifying
7 questions to Egalet, and we will get to those after
8 the FDA presentations. So let's adjourn now and
9 return at 11:15.

10 (Whereupon, at 11:03 a.m., a recess was
11 taken.)

12 DR. BROWN: It's 16 after 11, and we are
13 going to move ahead with the FDA presentations.
14 But before we do, if I could get you to pull up
15 CO-43, because I want to clarify something that
16 might have gone over the heads of members of this
17 committee. It certainly did mine.

18 The 80 percent red line there does not
19 represent any guidance by the FDA relevant to
20 anything other than generic products. So for new
21 products, 80 percent is not part of the guidance.
22 So if we could go ahead and begin our FDA comments.

1 **FDA Presentation - James Tolliver**

2 DR. TOLLIVER: Good morning. My name is
3 James Tolliver. I am a pharmacologist for the
4 controlled substance staff within the Office of the
5 Center Director, Center for Drug Evaluation
6 Research at the FDA.

7 This morning I'd like to briefly discuss
8 oral human abuse potential study 067-EG-008
9 submitted as a Category 3 study under NDA 208603,
10 in support of EG-001 ER tablets. In referencing
11 this product as part of this presentation, I will
12 use the designation EG-001 instead of Arymo
13 tablets.

14 The pharmacodynamic measures I will discuss
15 include the visual analog scales, abbreviated VAS,
16 for drug liking high, take drug again, and overall
17 drug liking. The drug liking VAS, the only primary
18 measure is used to assess at-the-moment drug
19 liking.

20 It is administered at various time points
21 post-dosing starting from 0.5 hours out to
22 24 hours. Subjects are asked, "Do you like the

1 effect that you are feeling now?" The response is
2 documented on a zero to 100-millimeter bipolar
3 scale, anchored on the left by zero, strong
4 disliking, in the center by 50, neither like nor
5 dislike, and on the right by 100, strong liking.

6 High VAS is also an at-the-moment
7 assessment, in this case of high or euphoria, using
8 a zero to 100 millimeter unipolar VAS scale with
9 anchors on the left of zero, not at all, and on the
10 right by 100, extremely. It is also taken at
11 various time points post-dosing from 0.5 hours to
12 24 hours. Subjects are asked to respond to the
13 question, "How high are you now?"

14 A third measure is the global assessment of
15 take drug again VAS. In contrast to drug liking
16 VAS and high VAS, this measure is taken only at 12
17 and 24 hours post-dosing, at a time when most, if
18 not all, the treatment effect has dissipated. In
19 responding to this scale, subjects are required to
20 reflect back on the treatment experience.

21 The specific question asked is, "Would you
22 want to take the drug you just received, again, if

1 given the opportunity?" It is rated on a bipolar
2 VAS scale anchored on the left by zero, definitely
3 would not, at 50 by do not care, and on the right
4 by 100, definitely would.

5 The fourth measure, overall drug liking VAS,
6 is also a global assessment taken only at 12 and
7 24 hours post-dosing; again, when most, if not all,
8 of the treatment effect is dissipated. In this
9 case, subjects are asked to think back over their
10 treatment experiences.

11 Subjects are required to respond to the
12 comment, "Overall, my liking for this drug is" is
13 rated on a bipolar VAS anchored on the left by
14 zero, strong disliking, in the center by 50,
15 neither like nor dislike, and on the right by 100,
16 a strong liking.

17 Pharmacodynamic parameters used in this
18 presentation include maximum effects, designated
19 Emax, and the time to maximum effect, designated
20 TEmax. Primary endpoint is Emax of drug liking.
21 Statistical analysis of pharmacodynamic measures
22 were conducted by the FDA CDER Office of

1 Biostatistics, utilizing a mixed-effects model with
2 treatment period and sequence as fixed effects, at
3 a random effect for subjects nested in sequence.
4 Tests were one-sided with an alpha set at 0.025.

5 CDER Office of Biostatistics also conducted
6 responder analysis using a test of binomial
7 proportions with a one-sided test of significance
8 of 0.025. There were two comparisons of interest.
9 For the purposes of this presentation, the first is
10 MS Contin manipulated, which is the positive
11 control versus placebo.

12 For purposes of validating each of these
13 four measures, I will note now that validation was
14 achieved for each of the four measures, meaning
15 that the positive comparator, MS Contin manipulated
16 produced a maximum response that was statistically
17 significantly higher than that produced by placebo.

18 The other important comparison in this
19 presentation will be that of MS Contin manipulated
20 versus EG-001 manipulated.

21 For purposes of examining pharmacokinetic/
22 pharmacodynamic relationships, I will limit my

1 discussion to the pharmacokinetics plasma morphine
2 following after treatments and rely on
3 bioavailability analysis conducted by sponsor.
4 Pharmacokinetic parameters, which I'll discuss,
5 include the maximum morphine concentration
6 designated Cmax and the time to Cmax designated
7 Tmax.

8 In this study 067, EG-008 is a randomized,
9 double-blind, triple dummy, placebo-controlled,
10 crossover study having the primary objective to
11 compare the relative abuse potential of oral intact
12 and oral manipulated EG-001 tablets versus oral
13 manipulated MS Contin.

14 Thirty-eight subjects comprised the
15 completer population. The oral treatments included
16 MS Contin 60 milligrams manipulated as the positive
17 comparator, as well as EG 60 milligrams
18 manipulated, and EG 160 milligrams intact and
19 placebo.

20 The methods of manipulation were based on
21 the results of Category 1, physical manipulation
22 studies. I want to digress from my written

1 statement here for just a minute, and I do want to
2 make clear that the manipulation that was done does
3 not require any special knowledge such as to
4 require someone with certain chemical or whatever
5 ways in order to prepare it.

6 The manipulation that was used utilizes a
7 very common tool that's available in any household,
8 and I would suspect that abusers would certainly
9 use that tool in that form of manipulation and may
10 possibly be successful at it.

11 I would also comment that with regard to
12 this kind of study, it's not required that you have
13 to reduce the particle size down to below
14 1 millimeter or 1000 microns in order to
15 potentially change the release characteristics in
16 the EG-001 formulation. I just want to make that
17 clear to you as we go along.

18 Provided here is the mean plasma morphine
19 concentration as a function of time following
20 active treatments. Oral MS Contin 60 milligrams
21 manipulated produced a mean Cmax for morphine of
22 43.34 nanograms per mL, which based upon

1 bioavailability analysis, was determined to be
2 higher than that produced by EG 160 milligrams
3 manipulated, namely 28.75 nanograms per mL.

4 Based upon the mean plasma morphine
5 time-course curves, most of the rise in morphine
6 levels occurred within 0.5 hours and 1.5 hours for
7 MS Contin manipulated and EG-001 manipulated,
8 respectively, while median Tmax values were
9 0.88 and 2.12 hours, respectively.

10 This slide provides the mean drug liking
11 time course following treatments. I've purposely
12 provided the whole VAS scale. Keep in mind the
13 drug liking is assessed using a bipolar VAS in
14 which 50 millimeters equates to neither like nor
15 dislike, 100 equates to strong liking, and zero
16 equates to strong disliking.

17 Over the first 4 hours there's little
18 indication of any degree of disliking produced by
19 the treatments. At the same time, the mean drug
20 liking time courses of the treatments are found
21 within a fairly narrow range, ranging from around
22 50 millimeters to 73 millimeters. This is in that

1 part of the VAS scale reflecting some limited
2 degree of drug liking. Median TEmax for drug
3 liking is 1.02 hours and 1.99 hours following
4 MS Contin manipulated and EG-001 manipulated,
5 respectively.

6 This slide provides the mean high as a
7 function of time for each of the treatments. Based
8 upon the time curves, most of the high is achieved
9 within about 0.75 hours and 1.5 hours, following
10 MS Contin manipulated and EG-001 manipulated,
11 respectively.

12 Due to the plateau for high observed with
13 both of these treatments, the median TEmax is out
14 at 1.5 hours and 3 hours for MS Contin manipulate
15 and EG-001 manipulated, respectively.

16 This slide provides a table of the means of
17 standard errors for Emax of drug liking, take drug
18 again, and overall drug liking for all treatments.
19 With respect to the primary endpoint of mean Emax
20 of at-the-moment drug liking, oral EG-001
21 manipulated was associated with a 5-millimeter
22 reduction compared to MS Contin manipulated.

1 While the 5-millimeter difference was
2 statistically significant at a p-level of 0.019, it
3 is not clear whether it is clinically relevant. We
4 wonder about that.

5 Oral EG-001 manipulated produced a mean Emax
6 of at-the-moment high that was 13.1 millimeters
7 lower than that produced by MS Contin manipulated.
8 This was statistically significantly different.

9 EG-001 manipulated compared to MS Contin
10 manipulated showed a reduction in mean Emax of take
11 drug again of 7.2 millimeters and an Emax of
12 overall drug liking of 4.7 millimeters. For both
13 measures, these differences were not statistically
14 significant, as reflected in the p-values.

15 As noted earlier, these two measures are
16 administered when most or all of the drug effect
17 has dissipated. Subjects are required to think
18 back to their experience under each of these
19 treatments and reflect upon whether or not they
20 would be willing to take the drug, the treatment
21 again if given the opportunity, and also upon the
22 overall drug liking experience.

1 So whereas EG-001 manipulated compared to
2 MS Contin manipulated was associated with a lower
3 Emax of at-the-moment drug liking and at-the-moment
4 high, when subjects were subsequently allowed to
5 reflect back on their experiences with these two
6 treatments, subjects displayed no preference of one
7 treatment over the other, with respect to a
8 willingness to take the treatments again or in the
9 degree of the drug liking experience.

10 This slide provides responder analysis with
11 regard to Emax of drug liking. This analysis is
12 described in detail in the 2015 FDA guidance for
13 industry regarding abuse-deterrent opioids. For
14 purposes of this presentation, a responder is a
15 subject having a selected percent reduction in the
16 Emax of drug liking following oral EG-001
17 manipulated compared to following oral MS Contin
18 manipulated.

19 So in the first column of the table you can
20 see different levels of percentage reduction in
21 Emax of drug liking. As a percentage reduction in
22 Emax of drug liking increases, there will be a

1 corresponding decrease in the number of subjects
2 displaying these percentage reductions.

3 One criteria of interest is in determining
4 whether or not a majority of the subjects
5 demonstrate a given percentage reduction in drug
6 liking. As noted in the FDA guidance document,
7 this is evaluated statistically using the
8 proportion test in which a null hypothesis is if
9 50 percent or fewer subjects demonstrate a given
10 percentage reduction as examined at a 0.5 percent
11 significance level.

12 Looking at the first row of the table, you
13 can see that 27 out of 38 total of subjects had at
14 least a zero percent reduction in Emax of drug
15 liking following oral manipulated EG-001 compared
16 to following oral manipulated MS Contin.

17 Statistical analysis using the proportions
18 test yielded a p-value of 0.0075 indicating that a
19 majority of the subjects had at least a
20 zero percent or greater reduction of Emax of drug
21 liking when taking EG-001 manipulated compared to
22 MS Contin manipulated.

1 Second line of the table pertains to at
2 least a 5 percent reduction in Emax of drug liking
3 following manipulated EG-001 compared to
4 manipulated MS Contin. Again, using the
5 proportions test, p-value of 0.0258 was achieved,
6 indicating that the majority of subjects, from a
7 statistical standpoint, did not in fact demonstrate
8 a 5 percent or greater reduction in Emax of drug
9 liking.

10 This also provided the additional,
11 further increases in percent reductions, and you
12 can see that there's obviously not going to be a
13 significance level there either.

14 So what this table is saying and what this
15 slide is actually showing is that a majority of
16 subjects did not in fact show some reduction in
17 Emax of drug liking following -- let me repeat
18 that.

19 So what this table actually shows is that a
20 majority of subjects did in fact show some
21 reduction in Emax of drug liking following the oral
22 EG-001 manipulated compared to oral MS Contin

1 manipulated, but this reduction was less than
2 5 percent.

3 In summary, all EG-001 60 milligrams
4 manipulated was associated with a maximum level of
5 at-the-moment drug liking and at the moment high
6 that was statistically significantly lower than
7 that produced by the positive comparator, MS Contin
8 60 milligrams manipulated.

9 For both measures, the differences between
10 the two were limited. Particularly in the case of
11 drug liking, the issue of clinical relevance does
12 exist. For the measures of take drug again and
13 overall drug liking, in which subjects reflect back
14 on their treatment experiences, there were no
15 statistically significant differences with respect
16 to maximum response between oral EG-001 manipulated
17 versus MS Contin manipulated.

18 Subjects expressed a similar willingness to
19 take either treatment again, if given the
20 opportunity to do so. In addition, collectively,
21 subjects did not perceive a difference between the
22 two treatments with regard to their drug liking

1 experience.

2 Finally, a majority of subjects did not
3 demonstrate a 5 percent or greater reduction in
4 Emax of drug liking following oral EG-001
5 manipulated compared to oral MS Contin manipulated.
6 This is not surprising considering the limited Emax
7 of drug liking of the manipulated MS Contin, as
8 well as just simply the tightness of the data.

9 This raises a question of what is the
10 significance of less than a 5 percent reduction in
11 drug liking with regard to a possible deterrent
12 effect of EG-001 to oral abuse? Thank you.

13 **FDA Presentation - Joann Lee**

14 DR. LEE: Good morning. I'm Joann Lee, drug
15 utilization analyst in the Office of Surveillance
16 and Epidemiology within the FDA. I'll present the
17 drug utilization patterns for morphine
18 extended release and other extended-release,
19 long-acting opioid analgesics from 2011 through
20 2015 to support today's discussions.

21 I'll describe the sales distribution of
22 extended-release opioid products followed by

1 prescription utilization of morphine
2 extended-release and other opioid analgesics
3 focused on the outpatient retail pharmacies. I'll
4 then present our findings on the top prescriber
5 specialties for morphine extended release.

6 We'll focus on the morphine extended release
7 given that today's discussions involve Arymo, which
8 is a morphine extended-release product. We also
9 examined the other extended-release, long-acting
10 opioid products as shown on this slide. These
11 drugs represent the opioid market into which Arymo
12 extended release will be introduced to if it is
13 approved.

14 This opioid market includes oxycodone,
15 methadone, oxymorphone, tapentadol, hydromorphone,
16 hydrocodone, and the transdermal patches fentanyl
17 and buprenorphine.

18 So we used the IMS National Sales
19 Perspectives Database to determine the primary
20 settings of care. This provides the sales
21 distribution data of morphine and other
22 extended-release, long-acting opioid products that

1 were sold from manufacturers and wholesalers into
2 the various settings of care. Please do note these
3 sales data are nationally projected to all settings
4 of care.

5 As displayed in this chart, 86 percent of
6 morphine extended-release products were distributed
7 from manufacturers to the retail settings, and the
8 majority of the other extended-release, long-acting
9 opioid products examined were also distributed to
10 the retail settings. So based on these sales data,
11 we focused on the U.S. outpatient retail
12 pharmacies.

13 Now, for the prescription data analysis that
14 I'll present next, we used the IMS Health National
15 Prescription Audit Database. This measures the
16 dispensing of prescriptions from retail pharmacies
17 into the hands of consumers through prescriptions
18 within the United States. This prescription data
19 can also be stratified by prescriber specialty,
20 which will be shown next.

21 Let me now draw your attention to the top
22 line of this graph, which shows the nationally

1 estimated number of prescriptions dispensed for
2 morphine extended-release. The remaining lines
3 represent the other extended-release, long-acting
4 opioid analgesic prescriptions, which were
5 dispensed through the U.S. outpatient retail
6 pharmacies from 2011 through 2015.

7 As shown, morphine extended release was the
8 most frequently dispensed opioid product among the
9 extended-release, long-acting opioid market. The
10 total number of morphine extended-release
11 prescriptions dispensed remained relatively stable
12 since 2011. And by 2015, there were 6.4 million
13 prescriptions dispensed for morphine
14 extended-release, while utilization of
15 extended-release oxycodone declined.

16 This table shows the top prescribing
17 specialties for morphine extended release in 2015.
18 Over one-quarter of morphine extended-release
19 prescriptions were written by family practice,
20 general practice, and osteopathy, followed by
21 anesthesiology and nurse practitioner,
22 approximately 13 percent each; then internal

1 medicine and so on.

2 Please keep in mind that anesthesiologists
3 may also practice as pain management specialists,
4 in which case pain medicine may actually be the
5 second top prescribers of morphine extended release
6 for the year 2015.

7 Limitations to mention are that only
8 outpatient use was assessed. That is inpatient and
9 mail order data were not included in this analysis,
10 and top specialties that prescribe morphine
11 extended release were captured based on
12 prescription data.

13 To summarize, there was a relatively stable
14 utilization of morphine extended release from 2011
15 through 2015. Of the extended-release long-acting
16 opioid analgesic market, morphine extended release
17 was most frequently dispensed with 6.4 million
18 prescriptions dispensed by 2015. The top
19 prescriber specialties, again, were family
20 practice, general practice, and osteopathy in 2015.

21 Thank you. This concludes the FDA
22 presentations.

Clarifying Questions

1
2 DR. BROWN: Are there any clarifying
3 questions for the FDA at this time? Please
4 remember as you ask questions to state your name
5 for the record before you speak. If you can,
6 please direct questions to a specific presenter.
7 If you're worried that we're not seeing your name,
8 if you just take your card and turn it up on the
9 side, we can make certain that we get everybody on
10 the list.

11 Dr. Bateman?

12 DR. BATEMAN: This question is for
13 Dr. Tolliver, and it pertains to slide 11 from his
14 presentation, the responder analysis.

15 DR. TOLLIVER: Before you start, I would
16 like to mention that I have hearing problems, and
17 so I would urge you to speak up. And if I ask you
18 to repeat it, I -- there's nothing I can do about
19 that.

20 DR. BATEMAN: Okay. I'm just wondering if
21 you can help us interpret this a bit more. So as I
22 understand it, the table shows the number of

1 subjects that report reductions in Emax at various
2 thresholds, 5 percent, 10 percent, 20 percent, and
3 so on. If I was looking at this, my interpretation
4 would be 65 percent of patients showed at least a
5 5 percent reduction, 40 percent of patients showed
6 at least a 20 percent reduction, and a quarter of
7 patients showed at least a 50 percent reduction.
8 But the statistical testing falls off after the
9 5 percent threshold.

10 DR. TOLLIVER: At least another way of
11 looking at that is it produced zero percent or
12 greater. You know at least for 5 percent, it was
13 at least 5 percent or greater reductions. So you
14 see a reduction in the number of subjects simply
15 because some of them are falling out. They're
16 producing -- some produce greater than a 5 percent
17 reduction, but they produce less than a 10 percent
18 reduction. So that's why you're seeing that change
19 over time; I mean, the number of subjects.

20 DR. BATEMAN: The summary sentence at the
21 bottom says the majority of subjects did not
22 demonstrate a 5 percent or greater reduction. But

1 it looks like 65 percent show at least a 5 percent
2 reduction. Am I misunderstanding the --

3 DR. TOLLIVER: Yes. The next column over is
4 the number of subjects. And if you do just the
5 division of the number by the total number of
6 subjects, which you have 38 subjects, then you come
7 up with your percentage reduction.

8 (Pause.)

9 DR. TOLLIVER: The p-value is based upon a
10 statistical test called the proportions test, and
11 it is because of that -- yes, I agree, I understand
12 where you're coming from, that the numbers suggest
13 that the percentage is higher. But when you do a
14 statistical test of it, it is not significant. And
15 I would have to have Dr. Liu come up and briefly
16 describe the -- if that's what you would like.

17 DR. BATEMAN: Sure. I mean, I --

18 DR. TOLLIVER: The patient test is something
19 separate.

20 DR. BATEMAN: So the statistical test is
21 testing whether 50 percent -- at least half the
22 patients show reduction at a particular threshold.

1 So at least 50 percent of patients show a reduction
2 of at least 50 percent would be the bottom line.
3 And there, clearly the point estimate is
4 23 percent, so that's not significant.

5 DR. TOLLIVER: Yes. According to the
6 statistical test that was done, it was not
7 statistically significant. Here, the specific
8 question that's being asked is, is it more than
9 50 percent of the subjects, the majority.

10 DR. BROWN: This is really not clear. Could
11 we get a more specific explanation of the
12 statistical method?

13 DR. LIU: Yes. The calculation is based on
14 FDA guidance, and for each subject we can calculate
15 what's the percentage reduction that each subject
16 has after taking the positive control and the
17 testing drug.

18 So for each subject we'll have a number
19 of percent reduction, and then we can see how many
20 subjects have a percentage reduction given
21 percentage reduction level.

22 Then we perform a statistical analysis, a

1 proportional test to test at least 50 percent or
2 less subjects has such a percent reduction. Then
3 the p-value tells if this one-sided test for this
4 hypothesis test for a given percent reduction level
5 and either 0.25 to 0.5 percent level.

6 DR. BATEMAN: So each of these thresholds,
7 you're testing the hypothesis that at least half of
8 the patients had a reduction of that amount. The
9 final line, the bottom line would be testing the
10 hypothesis that at least half of patients had at
11 least a 50 percent reduction in the Emax of drug
12 liking.

13 DR. LIU: Yes.

14 DR. BATEMAN: Okay. But I think it's
15 important for us to pay attention to the observed
16 data as well. These data suggest that half of
17 patients have at least a 10 percent reduction, and
18 nearly a quarter of patients have a 50 percent
19 reduction.

20 DR. LIU: Yes, because there are some
21 variations. So although numerically we can see
22 that 65.8 is larger than 50 percent, but if we

1 consider the variation, it's not significant at
2 this 2.5 percent level.

3 DR. BROWN: Dr. Flick?

4 DR. FLICK: If you look at the table, the
5 key column is the number of subjects. The power to
6 detect a difference in any of these cells is so low
7 that I'm not sure that there's any value in this
8 table at all.

9 So I guess I would ask my statistical
10 colleagues to comment on the ability to
11 differentiate these things using the statistical
12 proportions test. The raw value of the percentage,
13 yes, it maybe has some value, but again, the
14 numbers are so small, and I would guess the
15 variation in each one of those cells is quite
16 large. And it makes it very difficult to
17 differentiate one from another.

18 If I go back to slide 51 from the sponsor,
19 there is no difference between MS Contin crushed
20 and the Arymo intact, which makes it hard for me to
21 understand why this information is useful in any
22 way at all. What that says is that the drug liking

1 for the crushed MS Contin is the same as Arymo
2 extended-release intact. I guess I'm trying to put
3 that into context. Maybe somebody can help me with
4 that.

5 DR. BROWN: Dr. Hertz?

6 DR. HERTZ: Yes. I think that's a better
7 focus than the analysis of the responder
8 percentages. It was just one more way to look at
9 the numbers. And I think the points raised,
10 particularly the power and the other, are well
11 taken.

12 So in terms of this slide, I think it's just
13 one cut of the data. I'm hearing perhaps not the
14 best cut. So rather than -- anyway, point taken.

15 DR. BROWN: But the statement at the bottom
16 of this slide that the majority of subjects did not
17 demonstrate a 5 percent or greater reduction in
18 Emax is incorrect.

19 DR. HERTZ: No. What we're trying to
20 say -- and let me just say that, honestly, I don't
21 know that we need to focus on whether it's a
22 5 percent reduction as clinically meaningful or

1 not. But I think that the way to correct the
2 statement at the bottom of the slide is, "Using a
3 statistical analysis, the responder definition of
4 reduction of at least 5 percent didn't reach a
5 statistically significant outcome." So the
6 65 percent would not have been considered
7 statistically significant.

8 What I'm hearing from the committee that
9 applying a statistical analysis to this might not
10 have been very informative.

11 Is that what you folks are saying? Heads
12 are nodding, for the transcript.

13 (Committee members nod affirmatively.)

14 DR. HERTZ: So that point is taken. And I
15 think we can either use the correction of adding
16 statistically or we could just say, numerically,
17 but not statistically, the 5 percent responder
18 definition -- I don't know. Something.

19 But perhaps we'll just take note of that for
20 the future as not to be applying the proportion
21 test when we think that in fact the power may be as
22 low as suggested.

1 DR. BROWN: I want to move on.

2 Dr. Beardsley?

3 DR. BEARDSLEY: I'm not quite sure who to
4 address this question to, maybe Dr. Tolliver. But
5 given that there is a borderline difference in drug
6 liking, given the manipulated oral studies, I was
7 curious whether there'd be any difference in the
8 kinetics of this product if the manipulated product
9 was rapidly swallowed, versus kept under the tongue
10 and try to utilize a sublingual route of
11 administration, I guess as a gelatinous gel.
12 That's just maybe a question for Dr. Tolliver's
13 speculation or for the committee members.

14 DR. HERTZ: This is Sharon Hertz. We
15 haven't explored the transmucosal absorption of
16 this product. I don't think that's a particularly
17 popular route for morphine. I don't recall
18 offhand, in general, if there's much transmucosal
19 absorption. It's certainly not a popular route
20 that we hear about, nor do we have any products
21 that are using that.

22 I am aware that in some settings of hospice

1 care, high concentration oral solutions may be
2 used, but I don't know what the relative
3 bioavailability is in that setting.

4 DR. BROWN: Dr. Galinkin?

5 DR. GALINKIN: This question is for
6 Dr. Tolliver. I just wanted to confirm the matrix
7 effect. In looking at your slide 7, and also their
8 figure 32, it doesn't go on beyond 6 hours. My
9 question is, does the manipulated Arymo have the
10 same AUC as the manipulated MS Contin? And so does
11 the Arymo then have a long, long plateau after
12 6 hours of concentration where the MS Contin falls
13 off?

14 DR. NALLANI: About the oral?

15 DR. GALINKIN: I'm talking about the
16 manipulated and the oral, because my question is
17 whether -- if the matrix stays the same, then the
18 AUC should essentially be the same; is that
19 correct? Between the total AUC between the
20 MS Contin and the Arymo. Did you have that data or
21 is that a company question?

22 DR. NALLANI: Srikanth Nallani, clinical

1 pharmacologist. In terms of drug liking, typically
2 we don't go beyond a certain timeline. But to
3 answer your question, what will happen to AUC
4 infinity? Yes. The pharmacokinetics of the drug
5 in terms of AUC infinity, it will end up
6 bioequivalent.

7 DR. BROWN: Dr. Farrar?

8 DR. FARRAR: Just a quick comment on Sharon
9 Hertz's point, which is that in palliative care,
10 we've tried sublingual liquid unadulterated
11 morphine, and it's not rapidly absorbed there
12 because of the hydrophilic nature of the agent and
13 other issues.

14 So one would not presume that any other
15 administration would get you a rapid absorption
16 that way. It's been actually an area of interest,
17 because it would be nice to be able to use it that
18 way, but it hasn't been successful.

19 The second issue is that the slide that was
20 just shown -- also, the slide that shows the mean
21 liking -- so this slide clearly demonstrates a more
22 rapid plasma level with the manipulated MS Contin

1 versus the manipulated EG compound. Then if we go
2 to the slide from Dr. Tolliver's talk of the mean
3 drug liking time course profile, again what you see
4 is a mean liking that is earlier with the
5 MS Contin, consistent with a higher level achieved
6 more rapidly.

7 The fact that they are the same at 4 hours
8 simply means that the drug allows normal release
9 over the course of the time. So the fact that
10 they're both liked as much in terms of a long time
11 frame doesn't surprise me, at least with regards to
12 the fact that they're both morphine. They both
13 have to release over the prescribed period.

14 I think the issue is with regards to the
15 oral liking, I'm surprised actually at the low
16 level of difference between those two early on,
17 given the pharmacokinetics, but it is what it is.

18 DR. BROWN: We're going to break now for
19 lunch. We're going to reconvene again in this room
20 in one hour at 1:00 p.m. Please take any personal
21 belongings you may want with you at this time.
22 Committee members, please remember that there

1 should be no discussion of the meeting during lunch
2 amongst yourselves, with the press, or with any
3 member of the audience.

4 (Whereupon, at 12:02 p.m., a lunch recess
5 was taken.)

6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

A F T E R N O O N S E S S I O N

(1:00 p.m.)

Open Public Hearing

DR. BROWN: We're going to move ahead to the public forum.

Both the Food and Drug Administration and the public believe in a transparent process for information-gathering and decision-making. To ensure such transparency at the open public hearing session of the advisory committee, the FDA believes it's important to understand the context of an individual's presentation.

For this reason, the FDA encourages you, the open public hearing speaker, at the beginning of your written or oral statement to advise the committee of any financial relationship that you may have with the sponsor, its product and, if known, its direct competitors.

For example, this financial information may include the sponsor's payment of your travel, lodging, or other expenses in connection with your attendance at the meeting.

1 Likewise, FDA encourages you, at the
2 beginning of your statement, to advise the
3 committee if you do not have any such financial
4 relationships, but if you choose not to address
5 this issue, it will not preclude you from speaking.

6 The FDA and this committee place great
7 importance in the open public hearing. The
8 insights and comments provided can help the agency
9 and this committee in their consideration of the
10 issues before them. That said, in many instances
11 and for many topics, there will be a variety of
12 opinions.

13 One of our goals today is for this open
14 public hearing to be conducted in a fair and open
15 way where every participant is listened to
16 carefully and treated with dignity, courtesy, and
17 respect. Therefore, please speak only when
18 recognized by the chair. Thank you for your
19 cooperation.

20 Now will speaker number 1 step up to the
21 podium and introduce yourself?

22 MS. KULKARNI: Good afternoon. My name is

1 Shruti Kulkarni, and I'm the policy director for
2 the not-for-profit Center for Lawful Access and
3 Abuse Deterrence, CLAAD. CLAAD's funders include
4 treatment centers, laboratories, and pharmaceutical
5 companies and are disclosed on our website at
6 CLAAD.org.

7 Thank you for the opportunity to provide
8 CLAAD's input on the abuse-deterrent properties of
9 the proposed extended-release morphine sulfate.
10 CLAAD works to reduce prescription drug fraud,
11 diversion, misuse and abuse, while also ensuring
12 that individuals with legitimate needs have lawful
13 access to medications that safely and effectively
14 treat their health conditions. Our organization
15 has taken an active role in encouraging a market
16 transition of all commonly abused medications to
17 abuse-deterrent forms.

18 We're pleased that industry is responding to
19 our coalition's call to develop safer medications
20 to reduce prescription drug abuse. Medications
21 like the proposed ER morphine sulfate can satisfy
22 patient needs and improve public health and safety.

1 In assessing the medication and whether it
2 merits an abuse-deterrent labeling, the committee
3 should consider the following facts. According to
4 recent IMS data, morphine is the most commonly
5 prescribed ER opioid analgesic, and 98.5 percent of
6 prescriptions filled for ER morphine were for
7 products with no abuse-deterrent properties.

8 These products are most susceptible to
9 diversion, misuse, and abuse, via alternative
10 routes of administration. Data presented by the
11 Centers for Disease Control and Prevention at the
12 National Prescription Drug Abuse and Heroin Summit,
13 shows that the most common transition pathway from
14 oral opioid abuse to heroin use is to start with
15 oral ingestion of pills, move to crushing and
16 snorting of pills, continue on to snorting of
17 heroin, and finally, to inject prescription opioids
18 and heroin in order to prevent this transition. It
19 is important to make the abuse of manipulated
20 opioids more difficult and less rewarding.

21 Sponsor's Category 1 studies support the
22 conclusion that the proposed formulation is an

1 improvement compared to ER morphine medications
2 currently on the market because it's significantly
3 more difficult to crush and grind the tablet, given
4 its extreme hardness.

5 As a result, those who seek to abuse it are
6 less likely to gain immediate access to its active
7 pharmaceutical ingredient. Therefore, this product
8 will be less desirable to inexperienced individuals
9 who seek to abuse morphine using alternative routes
10 of administration.

11 Clinical data also supports a conclusion
12 that the proposed formulation prevents any
13 unintended effects for those who unintentionally
14 misuse opioids, such as the elderly population who
15 might have difficulty swallowing, because chewing,
16 cutting, or crushing does not result in the
17 immediate release of the medications active
18 ingredient.

19 Additionally, given the difficulty
20 associated with manipulating this product and the
21 inability to gain immediate access to its active
22 pharmaceutical ingredient, the proposed formulation

1 is less likely to be valuable on the black market.

2 Finally, every time an abuse-deterrent
3 medication enters the market, it increases the
4 likelihood that we can improve the quality of
5 healthcare, spur competition, and fund additional
6 research and development. Our ultimate goal is to
7 ensure patients have access to effective treatment
8 for conditions like pain, anxiety, ADHD, and they
9 do not pose additional risks of addiction and
10 overdose.

11 Thank you again for this opportunity.
12 Please contact CLAAD if we can be of any service to
13 you.

14 DR. BROWN: Thank you, Ms. Kulkarni. Will
15 the second speaker please step to the podium and
16 identify yourself? Speaker number 2.

17 (No response.)

18 DR. BROWN: Will speaker number 3 step up to
19 podium and introduce yourself?

20 MR. COHEN: Thank you, Mr. Chairman. My
21 name is Dan Cohen. I am the chairman of the
22 Abuse Deterrent Coalition. Attached here are my

1 disclosures. I have no financial incentives from
2 the sponsor, though they are a member of the
3 coalition.

4 As of 12:00 noon today, according to the CDC
5 website, we have probably the most significant
6 number that's faced by this community and the
7 committee; 16,882 individuals have overdosed
8 through the use of prescription opioids through
9 this calendar year. During the course of this
10 public session, another three deaths will likely
11 occur based on the CDC numbers. We are here to
12 fight this prescription abuse death rate and try
13 and lower it. That is the message of ADS.

14 The Abuse Deterrent Coalition was created by
15 abuse-deterrent manufacturers, patient advocacy
16 groups, pharmaceutical manufacturers, and others,
17 to educate the public about abuse deterrents, but
18 abuse deterrents is just one part of a bigger
19 puzzle. It is not about addiction. It is about
20 opioid-naïve individuals and deterring and
21 preventing the progression through the process of
22 prescription drug abuse.

1 This panel has an important challenge and
2 duty. It is the first advisory commission since
3 the President signed into law the CARE legislation,
4 which has now mandated that if a product has an
5 opioid in it, an advisory panel will be brought
6 together.

7 The FDA, this division, are going to rely
8 heavily on your judgment, more so than any other
9 advisory commissions that this agency runs through.
10 We charge you with the balance of moving through
11 the subjective measures of measuring abuse
12 deterrents, such as the vast scales that we've
13 talked about today. And you also need to consider
14 and advise the agency on the real-world challenges
15 of abuse, those that affect products, the time it
16 takes to manipulate the product, the increased cost
17 that goes along with it, and the amount of exposure
18 that a product does to the abuser.

19 Arymo as a product thoroughly demonstrates
20 deterrence by the evidence that's provided for you
21 today. It meets the criteria and exceeds it, for
22 oral manipulation, both by chewing and swallowing,

1 by intranasal abuse, and intravenous abuse. It is
2 beyond an incremental improvement. It is
3 clinically significant, it is medically relevant,
4 and it provides a significant public health
5 benefit.

6 As you look through this deck here, you'll
7 see the products that have an ADF that have been
8 approved by this division with a label, and the
9 products that are currently under active
10 consideration; those that have appeared before an
11 adcom either now or will in the next several
12 months. It is a starting point, and it is a very
13 important part of the public health process.

14 When I ask you to look at ADFs, ADFs do have
15 an impact and they do provide a benefit. Looking
16 at this deck from RADARS, I ask you to look at the
17 left-hand column. The oxycodone ER, oxycodone,
18 shows its abuse prevalence rate up until the time
19 in the first column of when it was a non-abuse-
20 deterrent product. When the abuse-deterrent went
21 in effect, you can see a significant drop off in
22 the amount of abuse of OxyContin.

1 In the middle chart, oxymorphone ER, and
2 dose Opana, you'll see the same time frames, the
3 level of abuse when oxycodone received its
4 abuse-deterrent indication, the amount of abuse of
5 Opana went up dramatically until Opana itself was
6 also reformulated and its abuse dropped off.

7 The last chart on the right shows all other
8 opioids and how they performed during the same
9 period of time. Clearly, ADFs do have an impact on
10 this process.

11 But as we're looking through this, we also
12 need to look to the data. Right now, we are having
13 a significant impact on branded opioid products.
14 As this data through the end of 2015 clearly
15 demonstrates, of the branded products, 8.8 million
16 scripts of branded products were issued in the last
17 year, and a little over 5 million of those now have
18 an abuse-deterrent formulation.

19 In generic products, we are 240 million
20 scripts, and again, only approximately 5 million
21 with an ADF. We still have 96 percent of the
22 market uncovered.

1 Looking at this data in another way, you can
2 see that in 2011, products with opioids in them had
3 their maximum number of scripts issued, and since
4 that point the scripts have dropped. This is three
5 years before the combination products were upscaled
6 from C3 to C2. Another way to look at it for all
7 opioid analgesics, and you see the same number,
8 extended-release, immediate-release, this is still
9 a problem that we have to work through.

10 Massachusetts Department of Public Health
11 published information just last week that is very
12 relevant to your --

13 DR. BROWN: Mr. Cohen, if you could finish
14 up please, sir.

15 DR. COHEN: I'm on my last slide,
16 Mr. Chairman. Thank you.

17 In that information, the Massachusetts
18 Department of Public Health in data that was
19 published last week, and just became available to
20 the public this week, indicated that of all opioid
21 deaths, 8 percent of those individuals that had an
22 opioid-induced death had a script within the last

1 month. Eighty-three percent of the decedents of an
2 opioid-induced overdose death had a legally
3 obtained or likely legally obtained substances in
4 their systems at the time of death.

5 This is a very relevant factor in your
6 consideration, because ADFs help to mitigate that
7 event. These are the members of the coalition. We
8 thank you for your consideration.

9 DR. BROWN: Thank you, Mr. Cohen. Will
10 speaker number 4 step to the podium and introduce
11 yourself?

12 DR. WOLFE: I'm Sid Wolfe, Public Citizen
13 Health Research Group. I have no conflicts of
14 interest.

15 You've seen these data before. I just want
16 to focus on the fact that the last 2 of these 5
17 extended-release morphine sulfate products are
18 quote, "abuse-deterrent." I put it in quotes
19 because the ultimate evidence is not there on any
20 of them, since there aren't epidemiological
21 studies.

22 I want to point out though that Embeda,

1 which was approved in 2009, did not get any
2 "abuse-deterrent" labeling. For four and a half
3 years, I was on the Drug Safety Advisory Committee,
4 and we met a couple times about this. One of the
5 meetings, it was made clear that when you do these
6 in vitro manipulation studies and the
7 abuse-deterrent liking studies, it only suggests
8 the possibility of abuse deterrence. You don't
9 actually prove abuse deterrence until you have epi
10 data. Again, we don't have any epi data at all on
11 any of these products.

12 Despite this, once Pfizer had bought up from
13 King, Embeda, and not long afterwards it got
14 approved with the abuse-deterrent properties. And
15 the language here really is misleading. These
16 data, along with the results from the oral and
17 intranasal human abuse potential studies, indicate
18 that Embeda has properties that are expected to
19 reduce abuse via the oral/intranasal route. That's
20 the labeling then, and it's still the labeling now.

21 The eagerness of the company to get this
22 drug approved can be seen in an announcement they

1 made concomitant with their first quarter earnings
2 a few months ago, and they essentially said, which
3 is accurate, that the FDA has accepted our NDA for
4 Arymo ER, an abuse-deterrent extended-release
5 morphine.

6 It's assumed it's abuse-deterrent, and then
7 it cranks in the marketing thing, which is what you
8 would expect a company, which does have a fiduciary
9 responsibility to stockholders to say, "If approved
10 later this year, we'll be able to begin promoting
11 Arymo ER, leveraging our commercial experience over
12 the past 12 months, having built relationships" and
13 so forth.

14 Now the remaining two and a half minutes,
15 I'll just deal with some of the evidence. You've
16 heard some of it. This is the FDA's take. It's
17 basically saying that solvent 5, a non-toxic
18 solvent, as they point out, you'll see in the next
19 slide, does a much better job of extracting in
20 30 minutes a lot of morphine from either the
21 15-, 30-, or 60-milligram dosage forms.

22 This is actually from the company's briefing

1 package. They showed a slide similar to it this
2 morning. I think it was called slide 43, the
3 company slide 43, which several people brought up.
4 I think one of the important things, which remember
5 the panel asked about this morning, is you look at
6 the third group on the right, this is 60 milligrams
7 And what you see is that in 30 minutes, someone
8 using solvent 5 and these breakdown product
9 properties that happened before the solvent
10 extraction, they're able to get out 36 milligrams
11 of morphine sulfate. Not bad.

12 The ability of anyone who's interested in
13 this kind of thing, and you'll probably hear more
14 about this later in the public hearing, to figure
15 out what solvents they are and match these things
16 up is quite skillful. It will not be hard to
17 defeat this, I believe, even in the in vitro
18 extraction.

19 These are data from the briefing package
20 again. The only difference, the p-values were half
21 as large in the FDA presentation. I suspect this
22 one-sided analysis, the p-value was .025. The

1 conclusions are the same. Drug liking, p .0385,
2 the FDA said relevance; as they told you before,
3 the possible abuse-deterrent is not known.

4 How high now, that was statistically
5 significant. And neither of the other ones were
6 even remotely close to being statistically
7 significant. People would take it again in a
8 statistically not different way than they would
9 MS Contin, and the same is true for the overall
10 drug liking.

11 In conclusion, the guidance that allowed, or
12 at least comported with labeling that was as strong
13 and I think misleading as we now have in Embeda,
14 really needs to be pulled back and modified or
15 changed better into a regulation as opposed to a
16 guidance. And the current labeling for opioids, it
17 needs to be done in a way that encourages companies
18 not to insert misleading language, which is what it
19 does now.

20 Finally, Arymo ER should not be approved
21 because of serious concerns about increased risk
22 and abuse, with some residual in vitro

1 manipulability, 60 percent of a 60-milligram dose
2 being extracted in 30 minutes with a solvent, and
3 unsatisfactory performance in oral human abuse
4 likeability studies. Three of the four were either
5 statistically insignificant or questionable. Thank
6 you.

7 DR. BROWN: Thank you, Dr. Wolfe. Would the
8 fifth speaker step to the podium and identify
9 yourself?

10 MR. CICHON: Mr. Chairman and members of the
11 advisory committees, I served during the 70s and
12 80s in the Baltimore City Police Department. I
13 knew very little about prescription drug abuse and
14 diversion.

15 After 16 years in law enforcement, I moved
16 over to the state side as an investigator for the
17 Maryland Department of Health and Mental Hygiene,
18 where I eventually investigated and managed
19 compliance investigations for the Maryland Board of
20 Physicians. After my 30 plus career in law
21 enforcement, I went to work for Eli Lilly, where
22 for six years I managed counterfeit drug

1 investigations in the Americas.

2 Good afternoon. I'm Charlie Cichon, and I'm
3 here today as the executive director of the
4 National Association of Drug Diversion
5 Investigators.

6 Relief from pain is important to millions of
7 individuals who suffer from chronic illness, and
8 prescription drugs such as opioids have proven a
9 valuable tool in the relief process. However, the
10 potential for the abuse of prescription drugs,
11 especially opioids, presents a significant risk,
12 and as we are all aware, the misuse and abuse of
13 opioids has reached epidemic levels in many of our
14 states.

15 Prescription drug abuse is the fastest
16 growing drug problem in America, one that does not
17 discriminate by region, socioeconomic status, or
18 age. The Center for Disease Control and Prevention
19 have identified prescription drug abuse as an
20 epidemic, reporting more than 15,000 American
21 deaths each year, from prescription opioids.

22 An important step in the abuse prevention

1 process for both new and chronic pain sufferers is
2 the development of abuse-deterrent formulas for
3 opioids. The National Association of Drug
4 Diversion Investigators, NADDI, is a non-profit
5 membership organization that works to develop and
6 implement solutions to the problem of prescription
7 drug abuse and diversion.

8 NADDI advocates for the responsible use of
9 prescription drugs by people who need them. At the
10 same time, we aggressively work with law
11 enforcement and regulators to pursue those involved
12 in related criminal activity. Our primary focus is
13 training and education, which include law
14 enforcement personnel, regulatory agents, health
15 professionals, healthcare fraud investigators, and
16 the pharma companies.

17 Continuing progress in the field of pain
18 management involves a juggling act that balances
19 the need and interests of those involved. The
20 development process involves all the stakeholders
21 in the medical treatment of pain -- clinical,
22 legal, regulatory, law enforcement, industry,

1 commercial, personnel, and societal.

2 NADDI recognizes that no one approach to
3 maintaining this critical balance will succeed
4 unilaterally. Therefore, NADDI supports ongoing
5 interaction and cooperation among all who can
6 impact the access to and provision of competent
7 healthcare, and who can affect diversion and abuse
8 of medications.

9 A scientific approach was taken to reduce
10 illegal street activity. And in speaking with and
11 surveying our NADDI law enforcement members at our
12 trainings throughout the country, it appears likely
13 that the rates of diversion decreased dramatically
14 after the introduction of reformulated opioids.

15 In October 2014, hydrocodone combinations
16 were rescheduled as Class II controlled substances.
17 A rescheduling of hydrocodone combinations had a
18 dramatic impact on when they're prescribing. And
19 according to the U.S. Department of Health and
20 Human Services, over 26 million fewer hydrocodone
21 combination prescriptions were written in the first
22 year after rescheduling, amounting to approximately

1 over 1 billion fewer dosage units.

2 I'd like to draw your attention to a hot bed
3 article in May of this year in Gaston County, North
4 Carolina, and I quote, "Over the past decade,
5 dealing with skyrocketing rates of prescription
6 drug abuse has become inevitable for those of us on
7 the front lines of law enforcement.

8 "Just recently, a new report identified
9 four North Carolina cities among the 25 worst
10 cities for drug abuse. Hickory ranked first on
11 that list. Prescription drug abuse relentlessly
12 indiscriminately targets the intersections of the
13 communities we our members of law enforcement try
14 to protect every day.

15 "North Carolina lawmakers should adopt
16 legislation that will reduce barriers in
17 prescribing abuse-deterrent prescription opioids.
18 The availability of abuse-deterrents will help save
19 more lives and equip law enforcement to further
20 protect communities."

21 The author of that was Judy Billings. Judy
22 Billings is the president of our Carolina chapter

1 and an assistant special agent with North Carolina
2 Bureau of Investigation. The new drug application
3 under review today, morphine sulfate
4 extended-release tablets has been reformulated with
5 the intent to provide abuse-deterrent properties.

6 Due to the ongoing problems with
7 pharmaceutical drug abuse and diversion, NADDI is a
8 strong proponent of new abuse-deterrent medicines
9 that make it more difficult for an abuser to reduce
10 law enforcement involvement in healthcare. Thank
11 you.

12 DR. BROWN: Thank you very much. Would the
13 next speaker please come to the podium and
14 introduce yourself?

15 MR. THOMPSON: Good afternoon. My name is
16 Edwin Thompson, and I'm the president of
17 Pharmaceutical Manufacturing Research Services,
18 located in Horsham, Pennsylvania.

19 In 2014, at least 28,000 persons in the
20 United States died from an opioid overdose. That
21 means that while you are meeting here today, there
22 will be an additional 76 people dying. Time is of

1 the essence, and identifying the root cause of this
2 and taking action is critical.

3 You're being asked today to approve an
4 additional extended-release drug for long-term
5 opioid treatment, which would add more fuel to an
6 already out of control fire. Please do not make it
7 worse.

8 Moreover, there is no scientific, medical,
9 or legal evidence to justify the approval of an
10 extended-release opioid drug. None. You're being
11 asked to approve a drug for the management of pain
12 severe enough to require daily, around-the-clock,
13 critical, long-term treatment for which alternative
14 treatment options are inadequate. However, there
15 is no scientific evidence showing the efficacy of
16 long-term opioid treatment. There's none.

17 Before you vote, ask the FDA for substantial
18 evidence of efficacy for long-term treatment. Ask
19 them where it is. They owe it to you; you deserve
20 to get; there is none.

21 The Center for Disease Control and
22 Prevention is the FDA's sister agency, and it has

1 scientific and medical standing equal to the FDA's.
2 The CDC's guidelines for prescribing opioids for
3 chronic pain were published in April of this year,
4 and they clearly state, "Evidence on long-term
5 opioid therapy for chronic pain outside of the
6 end-of-life care remains limited, with insufficient
7 evidence to determine the long-term benefits versus
8 no opioid therapy, though evidence suggests risk
9 for serious harms that appear to be dose-
10 dependent."

11 So how did previous extended-release opioid
12 products receive FDA approval, and why are you
13 considering the approval of an additional opioid
14 product? Based on the CDC guidelines, when asked
15 to approve an extended-release opioid drug for
16 long-term opioid treatment, you must say no.

17 After a U.S. Senate hearing on June 22nd of
18 this year, Senator Angus King and five other U.S.
19 senators sent DEA administrator, Charles Rosenberg,
20 a letter questioning the significant increases in
21 opioids allowed to be produced for sale in the
22 United States.

1 The senators pointed out between 1993 and
2 2015, DEA allowed aggregate production quotas for
3 oxycodone to increase 39-fold, hydrocodone to
4 increase 12-fold, hydromorphone to increase
5 23-fold, and fentanyl to increase 25-fold. The
6 result is 14 billion opioid pills are now dispensed
7 annually in the United States. Of course, we have
8 an opioid epidemic.

9 The senators conclude, "We remain deeply
10 troubled by the sheer volume of opioids available;
11 volumes that are approved by the DEA." They urged
12 the DEA to lower the manufacturing production
13 quotas saying, "We believe the recent CDC
14 guidelines for prescribing opioids for chronic pain
15 constitute a change in the currently accepted
16 medical use of opioids and should be taking into
17 consideration when setting future years and opioid
18 quotas."

19 Their quote continues, "The CDC guidelines
20 recommend dramatic changes in how opioids are
21 prescribed for chronic care patients. For
22 instance, the medical experts at the CDC recommend

1 that patients receive immediate-release opioids
2 instead of extended-release or long-lasting
3 opioids; that patients receive the lowest effective
4 dosage of opioids possible, and that patients
5 receive opioids for the shortest possible effective
6 duration."

7 Taken together, these CDC recommendations
8 clearly demonstrate that fewer opioids will be
9 medically necessary for the coming years.
10 Nevertheless, you are being asked to approve an
11 additional extended-release, high-dose opioid
12 product for long-term treatment. Something is very
13 wrong here, very wrong.

14 As a member of this advisory committee, you
15 should vote no on the approval of an
16 extended-release, long-term opioid product. Thank
17 you.

18 DR. BROWN: Thank you, Mr. Thompson. Could
19 the seventh speaker come to the -- and introduce
20 yourself?

21 MS. DUENSING: Good afternoon. My name is
22 Katie Duensing, and I'm the assistant director for

1 legislative and regulatory affairs at the State
2 Pain Policy Advocacy Network, a project of the
3 Academy of Integrative Pain Management. I have no
4 financial conflicts of interest to declare.

5 Formerly known as the American Academy of
6 Pain Management, AIPM is a multidisciplinary
7 organization of pain care clinicians including
8 members of nearly every healthcare profession you
9 can imagine.

10 As our name suggests, our organization
11 espouses a model of integrative pain management.
12 While we recognize the important role played by
13 traditional biomedical treatments for pain, such as
14 medications and procedures, we also advocate for
15 access to and affordability of additional
16 treatments that may supplement, complement, or even
17 replace traditional treatments in the service of
18 providing optimal improvement in pain and
19 functional status for people with pain.

20 The Academy is keenly aware that opioid pain
21 relievers and other controlled substances have
22 become controversial because of their prominence in

1 prescription drug abuse. We are also acutely aware
2 of the plight of those who live with chronic pain,
3 more than those affected by heart disease, cancer,
4 and diabetes combined, according to the Institute
5 of Medicine, some of whom require the use of opioid
6 analgesics to manage their conditions.

7 Therefore, we have been extremely active in
8 a variety of policy advocacy efforts related to
9 those two major public health concerns. One
10 subject of these efforts, which is the purpose of
11 today's meeting, is the development and uptake of
12 so-called abuse-deterrent opioid analgesics, also
13 known as ADOs.

14 When opioid analgesics are prescribed and
15 monitored appropriately, many patients do well,
16 experiencing improvements in pain and function, and
17 quality of life. However, for many people who
18 eventually overdose on licit or illicit opioids,
19 misusing prescription pain relievers by means of
20 crushing, melting, or otherwise altering the
21 medication to get a more powerful effect is common.

22 Because ADOs significantly reduce the

1 effectiveness of alteration tactics like these,
2 they are far less desirable to those who divert the
3 medications for unlawful use. Therefore, AIPM
4 views continuously improving ADOs as a vital
5 component of a comprehensive approach to addressing
6 prescription drug abuse and improving patient care.

7 Our understanding of the documents provided
8 for this meeting is that Arymo ER has demonstrated
9 significant superiority to MS Contin in terms of
10 preventing an abuser from significantly and
11 productively altering the product.

12 Further, there is no evidence of alcohol
13 dose-dumping with Arymo ER, a problem that has been
14 associated with Embeda, a currently available ADO.
15 Therefore, this drug appears to us to represent an
16 incremental improvement in the extended-release
17 morphine products available on the market.

18 Given that we should be expecting
19 improvement with respect to abuse deterrents to be
20 primarily, if not exclusively, of the incremental
21 variety, we think this product meets that standard
22 and thus ought to be approved with abuse-deterrent

1 labeling.

2 We are grateful to FDA for its efforts to
3 support the ongoing development of abuse-deterrent
4 technology. We also recognize, as I'm sure
5 everyone here does, that this is not a static
6 process with well-defined endpoint.

7 People who tamper with these products in
8 order to abuse them are very creative, and history
9 has shown that they are adept at overcoming efforts
10 to thwart them. For that reason, we want to take
11 this opportunity to encourage both manufacturers
12 and FDA to continue innovating in the ADO space,
13 developing new approaches that may be even more
14 impervious to, or discouraging of, alteration, even
15 if those approaches only buy us a few years of
16 relative success.

17 Our policy advocacy efforts related to ADOs
18 have also focused on one of the troubling aspects
19 of this form of innovation; namely, the burden it
20 places on people with pain who have no intent
21 whatsoever to do anything other than to use their
22 medication exactly as prescribed in order to obtain

1 pain relief.

2 Unfortunately, the research and development
3 process that produces these valuable new products
4 is expensive, and the cost of that process
5 inevitably is passed along to consumers. The end
6 result is that people with a legitimate medical
7 need for opioid analgesics are forced to foot the
8 bill for protecting others who use the medications
9 illegitimately in dangerous ways that were never
10 intended.

11 It's patently unfair that this happens, and
12 while many patients can understand why it's a sort
13 of necessary evil that enables them to have access
14 to their medications, we need to find ways to
15 ensure that this unfair burden does not result in
16 patients foregoing pain relief for financial
17 reasons.

18 We will continue working on this issue in
19 federal and state legislative bodies and regulatory
20 agencies, hoping that more will emulate success as
21 seen today in Massachusetts, Maryland, Maine, and
22 West Virginia, that ensure improved insurance

1 coverage of ADOs.

2 While we attempt to overcome opposition
3 derived from the fiduciary interests of the
4 insurance lobby, we hope that FDA will continue to
5 encourage, and that manufacturers will continue to
6 pursue innovations that will bring us a few steps
7 closer to the ultimate goal of being able to
8 provide pain relief while minimizing risks to those
9 who misuse these vital medications.

10 Thank you very much for the opportunity to
11 speak today.

12 DR. BROWN: Thank you, Ms. Duensing. Will
13 speaker number 8 step up to the podium and
14 introduce yourself?

15 MR. BRASON: My name is Fred Brason, and I'm
16 the CEO of Project Lazarus, which is a
17 community-based public health approach to address
18 the issues of opioid and heroin overdoses, and we
19 take the approach of "to prevent," but also to
20 present responsible pain management and to promote
21 substitute treatment and support services. I have
22 no disclosures and no conflicts.

1 Developing a public health approach meant
2 that we had to work with a lot of different people.
3 My background of 25 years in hospice and home
4 health had me managing the care of thousands of
5 individuals. Personally, as a chaplain and a local
6 pastor, I've been involved with many families in
7 recovery, many individuals and families who have
8 suffered overdoses. I've done way too many
9 memorial services for both end-of-life care, and
10 for those having suffered and not survived an
11 overdose.

12 So I have personal engagement, but also
13 professional. And as a professional, we began to
14 investigate our overdoses within our community in
15 North Carolina, and as we did that, we found
16 patients who simply misused the right medication
17 for the right reason, and suffered an overdose.

18 We have in rural communities especially a
19 lot of sharing of medication, not for the purpose
20 of getting high or for selling or diverting, but to
21 self-medicate because they have pain. And mom had
22 pain meds, and Johnny would take mom's pain meds,

1 and unfortunately that would overdose Johnny.

2 We have a lot of accidental ingestion
3 because a number of meds that are in the home. We
4 have recreational users who just go out to have a
5 good time. They have no addiction or substance use
6 disorder, and they themselves found that they had
7 suffered an overdose from taking something they've
8 never taken before. And then we also have
9 individuals that do have a substance use disorder
10 taking 10, 25 pills a day.

11 As you can see from that list, when we look
12 at at least three of those, the family, the
13 recreational user, and the substance use disorder,
14 you can see that those are primarily diversion
15 areas from the opioids, and we could argue that too
16 for the accidental ingestion, not for the patient
17 that misuses.

18 But as we looked at this from a perspective
19 of trying to make a balance between making sure we
20 have pain care that's accessible and acceptable
21 with no stigma, we also wanted to make sure that
22 the person who shouldn't have the medication, can't

1 get the medication, and we try to strike that
2 balance through our public health approach, and one
3 of that is prescriber education.

4 As we've been able to do that, we do bring
5 forth more of the risks and the assessing the
6 benefits of opioids. We use the prescription drug-
7 monitoring program. We are educating to use
8 abuse-deterrent formulations on those situations
9 where there could be diversion, where there could
10 be problems, either because of the patient, patient
11 history, but also because of the environment that
12 that patient lives in. And there could be other
13 family members that have other issues and want to
14 get into their right medication for their right
15 reasons, and then of course, the co-prescribing of
16 naloxone.

17 In our own community, we have not had to
18 stop prescribing in order to make a change, but
19 we've made changes. And it's here that one of our
20 local narcotics officers made the statement that "I
21 think our local docs are doing a heck of a good job
22 because the diversion is coming from outside of

1 where our community is."

2 What we've done now in 92 counties in North
3 Carolina is implement the Project Lazarus model.
4 Ad this shows from the University of North
5 Carolina, The Injury and Prevention Research
6 Center -- and they did a study and have been doing
7 an evaluation of our project statewide for CDC.
8 And they are showing that we have embedded the
9 project.

10 We've funded the project in those
11 communities. Those with a local health department
12 have a 26 percent lower emergency department visit
13 rate from an opioid-related substance use problem.
14 That translates into four less emergency department
15 visits per 3,000 prescriptions. That equals money
16 and it equals lives from the perspective at the
17 state level.

18 We have been able to reduce the overdoses;
19 about a 50 percent drop over five years. We've
20 been able to prevent more school incidences with
21 that, and we helped develop Operation Opioid SAFE
22 with the U.S. army at Fort Bragg. And they were

1 having 15 overdoses per 400 soldiers. We reduced
2 that to one per 400. They had 17 per 1,000 that
3 survived. Now we've reduced that to 1.4.

4 But a systematic approach to pain management
5 emphasizing risk stratification, risk mitigation,
6 provider education, and alternatives to opioid for
7 pain management has resulted in a reduction of
8 opioid prescribing with decreased healthcare
9 utilization and improvement in patient
10 satisfaction.

11 One of the things that they've done at Fort
12 Bragg is any refill for an opioid medication is an
13 abuse-deterrent formulation. That is part and one
14 of the strong components of the entire model that
15 can be utilized to ensure that a person does get
16 the medication that they need.

17 But again, because of rural communities and
18 some of the problems that we have, you can see from
19 this slide the number of arrests for diversion.
20 And being a community that's rural, we're known as
21 the moonshine capital, so we've gone through
22 moonshine, marijuana, meth, and medicine, and it's

1 become an underground economy. Abuse-deterrent
2 formulations aren't part of that economy because it
3 doesn't serve the purpose that the individuals
4 want.

5 The study that was mentioned earlier by Dan
6 Cohen from the Massachusetts Department of Public
7 Health, does an abnormally high number of
8 prescribing physicians increase a patient's risk of
9 fatal overdose? Yes, seven times greater for
10 individuals who use three or more prescribers,
11 within three months, concurrent use of opioids and
12 benzodiazepines.

13 At least two out of every three people who
14 died of an opioid overdose have been prescribed an
15 opioid between 2011 and 2014, but just 8.3 percent
16 of those decedents had an active opioid
17 prescription in the same month.

18 Eighty-three percent of the opioid overdose
19 deaths had a toxicology report completed. The
20 person who died had illegally obtained or likely
21 obtained that. In the report, the DPH points to
22 the information that illegally obtained substances

1 as evidence to support an emerging hypothesis that
2 illegally obtained substances are the driving force
3 behind the state's epidemic.

4 Abuse-deterrent formulations help us, at the
5 ground level address this important issue that we
6 can change lives and save lives and still care for
7 individuals that have pain issues. Thank you very
8 much.

9 DR. BROWN: Thank you, Mr. Brason. Would
10 speaker number 9 come to the podium and introduce
11 yourself?

12 MS. STOUCH: Good afternoon. Thank you for
13 allowing me to speak with you today. I have no
14 financial disclosures. I am here as Pamela's mom
15 today. I am a stay-at-home mom for the last
16 23 years.

17 In 2008, my daughter, Pamela, was a senior
18 in high school. She saved her money, and she
19 bought her own car when she was 14. And at age 18,
20 she was managing our local Pizza Hut. Pamela paid
21 for her own car insurance, her phone, gas, clothes,
22 her own entertainment.

1 Pamela writes in her journal, dated
2 October 9, 2009, and I quote, "I met my now
3 ex-boyfriend and began smoking weed every day. In
4 a couple of weeks I was snorting OxyContin."

5 I said Pamela, at 18 she was managing our
6 local Pizza Hut. Pamela paid for her own bills,
7 and she was an average student in school. Pamela
8 got accepted into two colleges and began at
9 Albright after graduation.

10 I knew something was very wrong, but I
11 thought when I dropped her off at school and got
12 her away from this boy that things would get back
13 to normal, but I was very wrong. I didn't know
14 when I dropped her off that she was detoxing from
15 opioid medication.

16 After her first semester, Pamela transferred
17 home, to a college closer to home and moved, and
18 that's when things got really bad. I didn't know
19 about prescription medication abuse. I got her
20 into treatment thinking that she would come out and
21 be herself and move on with life, but I was very
22 wrong.

1 I began to educate myself, and I attended
2 meetings and I read and I learned when Pamela went
3 into rehab at 19 years old, on her birthday,
4 August 9th in 2009; August 9th, next week, her 26th
5 birthday, another birthday I won't get to
6 celebrate.

7 Pamela lost everything, school, her job, her
8 car. She was ashamed and embarrassed, but she
9 stayed in treatment and attended meetings and
10 outpatient. Pamela tried to get her life back
11 together. She was to begin a new job and register
12 for community college.

13 On March 27, 2010, six months after
14 treatment, Pamela slipped. She used heroin, and
15 she overdosed. In two years, I lost my daughter to
16 opioid medication abuse.

17 Recently on the news I saw a clip on the
18 Egalet Pharmaceutical Company. I saw how this
19 medication could not be crushed, and if snorted,
20 would leave a very unpleasant feeling. I
21 immediately shared this news with my friends and
22 family. I thought to myself, if only this had been

1 developed years ago, my Pamela may never have
2 become a substance abuser. Her brain would not
3 have become diseased, and we would have gotten
4 treatment for her marijuana use. The marijuana
5 became her gateway drug.

6 I feel this medication must be approved. We
7 are losing a person every 20 minutes in this
8 country. If there is a way to stop and deter
9 people from chopping these pills up and snorting
10 them and abusing them, then we must move forward
11 and we must save lives. Thank you.

12 DR. BROWN: Ms. Stouch, we appreciate your
13 comments. And I want you to know that everyone in
14 this room is working hard to stop the things that
15 took your daughter from you.

16 MS. STOUCHE: Thank you very much.

17 DR. BROWN: Could speaker number 10 step to
18 the podium and introduce yourself?

19 MR. PETERSEN: Yes. I'm Adam Petersen. I'd
20 like to thank the advisory committee for hearing
21 from me today, and my only disclosure is that my
22 travel was paid for.

1 I was raised in a wonderful home with
2 parents that taught me well and showed me an
3 exceptional example. I've always had a strong
4 entrepreneurial drive and high ambitions. In fact,
5 I envisioned long ago that I would one day come to
6 D.C. with my business empire. However, this is
7 obviously not why I'm here today.

8 Instead, I stand before you, not as the head
9 of the next tech giant, but as a man who is
10 emotionally battered and beaten, in large part due
11 to my history of prescription drug abuse and
12 addiction.

13 Growing up, I was a pretty straight-laced
14 kid. I never experimented with alcohol nor drugs
15 my whole time in high school or college. So how
16 did I end up becoming someone who would
17 intentionally abuse prescription medications?

18 Just before my son was born, I found myself
19 in the middle of some very painful business
20 failures. I was feeling the worthlessness, knowing
21 that I couldn't take care of my wife and son
22 financially.

1 As a result, I experienced crippling
2 depression. My wife begged me to go to the
3 psychiatrist to get help, which made me feel even
4 more inadequate. Before long, doctors were
5 prescribing me meds for depression, sleep, and
6 anxiety.

7 Around the same time, I had multiple
8 surgeries and was prescribed pain medication. The
9 combination of severe pain and severe depression
10 were a disastrous combination for me. I got to the
11 point where rather than living in a constant
12 physical and emotional hell, I'd rather not feel
13 anything at all, even if that meant just for a
14 moment.

15 I began abusing the prescriptions that had
16 been legitimately prescribed to me, and eventually
17 I began taking them from friends and family.
18 Ultimately, this and other addictive behaviors cost
19 me my family.

20 Much is said about the annual costs
21 associated with chronic pain and prescription
22 abuse, largely measured in hours and dollars and

1 cents, but for a moment, I'd like to talk about the
2 human cost. How does one accurately assign a value
3 to an hour missed in a child's life because Daddy
4 was emotionally and mentally checked out while
5 abusing opiate prescription medication? How do we
6 attach the cost of broken trust or shattered
7 dreams?

8 I've had ample time to reflect on the damage
9 that I've experienced, but ever so more
10 heartbreaking, the damage that I've caused others.
11 I wonder, will my son ever be able to respect me,
12 since we are now all living with the harsh
13 consequences of the choices of mine long in the
14 past.

15 I have an angel little girl that was a year
16 old at the time of our divorce. The day will come
17 when she will find a young man that will want to
18 sweep her off her feet. On that special day, there
19 is always a daddy/daughter dance. I can't help but
20 agonize over the question, on that day, will she
21 want to dance with me? Or will she choose her
22 stepdad who she's lived with her whole life?

1 Just last week -- here's an example of the
2 ongoing human cost associated with prescription
3 drug abuse -- I got a letter from my little girl,
4 and it said, "Dear Dad, I love you. I am sad. I
5 want my dad back. I am miserable."

6 It haunts me to know that some of the very
7 first words my little girl ever wrote by herself,
8 were words describing deep, emotional pain, which
9 are a direct result of my prescription drug abuse.
10 Try to put a price tag on that.

11 The truth is the prescription pain
12 medications are a blessing and a curse. They're
13 miracle is they give temporary leave to those who
14 are in debilitating pain. The nightmare side is
15 they are so easily manipulated and abused. The
16 ease of manipulation most certainly aids and
17 accelerates the downward escalation of abuse. I
18 would know; I've experienced it firsthand.

19 Each of you are very familiar with the
20 challenges we face in regards to opioid drugs as
21 they stand now. I do believe if I couldn't have
22 abused prescription medications, my path would have

1 been less destructive. I don't want others to go
2 down the road that I went down.

3 Each of you on this committee are in a very
4 special situation in that your decisions will
5 impact millions of people. I humbly implore you,
6 please do everything in your power to clear the way
7 and foster an environment for the drug companies
8 that are willing and committed to developing safer,
9 more responsible pain medications. Thank you.

10 DR. BROWN: Thank you, sir, very much. We
11 appreciate your comments, Mr. Petersen.

12 The open public hearing portion of this
13 meeting has now concluded, and we will no longer
14 take comments from the audience. The committee now
15 is going to hear some information from the sponsor
16 of this compound that we asked for this morning,
17 after which we will go back to clarifying
18 questions, which we were not able to manage this
19 morning. So if I could ask the sponsor to give
20 us --

21 DR. DAYNO: Yes. Thank you, Dr. Brown.

22 First, I'd like to thank the panel for the

1 opportunity to respond after the break and clarify
2 some questions. There were three questions that
3 came up that we'd like to clarify for you.

4 First, Dr. Emala asked about large-volume
5 extraction over time and if we could provide that
6 data. So if I could have slide AA-1, please?

7 Starting with the model solvents,
8 solvent 5 and 11, this is extraction over time, out
9 to 8 hours. This is with 60 milligram and 200 mLs,
10 and showing the plateauing effect over time in
11 these two model solvents.

12 Also, to remind the panel that in these
13 large-volume extraction experiments, the opioid
14 eventually has to come out, so it has to come out
15 to be an effective analgesic, but we're seeing the
16 plateau here over time.

17 Slide AA-2. This was solvent 18 that was
18 asked in particular. Now, this is in 200 mLs of
19 solvent, with solvent 18, temperature A,
20 agitation B in triplicate, showing a similar
21 pattern and plateauing at about 60 percent.

22 Let me have slide AA-3. This was the

1 pattern that I think, Dr. Emala, you referred to
2 about decreasing over time. This was in the
3 exploratory phase of the program in 50 mLs of
4 solvent 18; so because of the decreased volume of
5 solvent and difference in solubility contributing
6 to the different pattern from the pattern that you
7 saw with solvent 18.

8 The next question, Dr. Gupta had asked also
9 in terms of large-volume extraction. Slide AA-4.
10 So the request was solvents 9 and 10, looking at
11 temperature B, agitation B, with 60 milligrams the
12 highest dose of Arymo in 200 mL of solvent. This
13 is at the 30-minute time point.

14 A similar pattern in terms of extraction at
15 this time point and also carried out over time
16 would be a similar pattern of plateauing out over
17 8 to 12 hours.

18 The third question, importantly, actually
19 was about the time that was used in manipulation of
20 the product for the oral HAP study and was it
21 enough. I think that we demonstrated with that
22 method of manipulation that more time to use that

1 tool resulted in a plateau effect in terms of there
2 was no further reduction in particle sizes.

3 But I'd like to invite Dr. Webster up,
4 because I think it's important -- Dr. Webster was a
5 principal investigator for the oral HAP study, and
6 his observations in the clinic and how much time
7 and effort it took and the impact on the study.

8 DR. WEBSTER: Thank you. First, I have to
9 say thank you to all of the public speakers. I
10 think I can probably say for most of you, but
11 certainly for me, that that is why we're here
12 today. And I hope we continue to make advances so
13 we can safer and more effective medications. So I
14 want to thank you.

15 This is an important question because it's
16 not collected in our data, that is the work effort.
17 The tool that was used for the oral HAP is, is a
18 tool that's available -- as you know, because
19 you're all aware of what that tool is, but it's not
20 easily used to manipulate the product. In fact,
21 one of our pharmacists could not manipulate the
22 product. This pharmacist had to defer to the other

1 pharmacist in our clinic to manipulate it in a way
2 that it then could be fed to the subjects.

3 So it is impossible to collect the
4 difficulty of manipulating in the Category 3
5 studies where you have a liking and then most
6 importantly, take the drug again, because if
7 they're given something that's already been
8 manipulated, they're only assessing that element of
9 it at that time. It's like giving a baby
10 applesauce rather than giving them the apple. In
11 this case, it's even much worse, because it is a
12 very hard substance.

13 If a pharmacist, who knows how to best
14 manipulate this, based upon all the preclinical
15 work, can't manipulate it, I believe very few
16 recreational drug users, or even those who are more
17 advanced, are going to be able to manipulate it to
18 maximum the oral liking effect. And clearly, when
19 there are so many other options out there, it's not
20 going to have an overall take-drug-again effect
21 that you've seen here; that plus the inability to
22 chew it because of its hardness.

1 So we can manipulate it by the way in which
2 it's been instructed, and then we can reduce it
3 into some size, but we can't ask our subjects to
4 chew it. Our whole staff agreed that we could not
5 do that because we were afraid they would fracture
6 teeth.

7 So we really have almost a false setup here
8 when we're asking some of these questions,
9 particularly take drug again or overall drug
10 liking. It is a very hard substance, and it is
11 very difficult to manipulate, and that data is not
12 collected in the results that we've shown you.

13 DR. DAYNO: Thank you.

14 DR. BROWN: Could I ask a couple of
15 questions just to clarify the comments that you
16 just made. Number one, how did you choose the
17 model solvents that were presented during the
18 original presentation?

19 DR. DAYNO: The model solvents were chosen
20 based on the original 18 solvents in the overall
21 panel, and we looked at the pattern of results and
22 saw that extraction was greater in the solvents

1 that were digestible, and the aqueous solvents. So
2 solvents 5 and 11 represented a range of pH and
3 polarity across those solvents, and then we
4 repeated the studies in the proposed to-be-marketed
5 dosages with those model solvents.

6 DR. BROWN: But there was a remarkable
7 difference in some of the solvents that were not
8 model solvents. So were they chosen as a mean of
9 the results that you had?

10 DR. DAYNO: The main difference for that was
11 when we did the initial exploratory work at 100
12 milligrams in the early phase of the program, it
13 was in 50 mLs of solvent. When we repeated the
14 study with 60-milligram dose, it was in 200 mLs of
15 solvent. So the different rates of extraction
16 representing different solubilities in the
17 different volumes of solvent.

18 DR. BROWN: The last question that I
19 want -- and then we need to get on to the questions
20 that the committee has. But you just showed us
21 about a 60 percent extraction rate with solvent 18
22 for your medication. And I'm wondering if we put

1 MS Contin in solvent 18, what would that show?

2 DR. DAYNO: We didn't compare it to
3 MS Contin, solvent 18. Solvent 18 is toxic and
4 non-ingestible. We did, however -- in the two
5 model solvents, we did look at MS Contin 60
6 milligrams compared to Arymo 60 milligrams.

7 DR. BROWN: Can you show that to us? I
8 think that will help us --

9 DR. DAYNO: Okay. So first let me check
10 with Dr. Hertz. That data was not submitted as
11 part of the NDA. It's a comparison to MS Contin
12 60 milligrams, the comparator, in the two model
13 solvents compared to Arymo, the extraction.

14 DR. HERTZ: You can share it, but just the
15 committee should recognize that it's not been
16 reviewed.

17 DR. DAYNO: Okay. Thank you. So I'll show
18 you those data on this slide and what it shows. So
19 this is solvents 5 and 11 in 200 mLs of solvent,
20 and this is the manipulated Arymo with the optimal
21 multi-tool manipulation F to J, crushed MS Contin.
22 You see that with MS Contin, when you crush it to a

1 fine powder, it releases almost immediately over
2 the first couple minutes with 100 percent
3 extraction of the morphine.

4 **Clarifying Questions (continued)**

5 DR. BROWN: Thank you very much. We have
6 several more questions from our group. Dr. Walsh?

7 DR. WALSH: Thank you. I need to find my
8 question because it was from earlier this morning.
9 So it's related to the intranasal study, slide
10 number CO-53. I'm just curious about the choice of
11 conditions that you decided to show here, and just
12 looking maybe for a little bit of guidance about
13 this.

14 In the Arymo manipulated and sieved, I
15 gather that you have taken out all the large chunks
16 and left mostly the very small powder that's more
17 suitable for snorting, correct?

18 DR. DAYNO: That's correct.

19 DR. WALSH: So can you just tell us by
20 weight, what portion of the overall tablet is
21 represented in the amount that's powdered?

22 DR. DAYNO: So that was about 15 to

1 20 percent of what is represented in the
2 manipulated, sieved arm. If we take a step back,
3 the logic, the rationale behind this design -- and
4 actually, this was in discussions with the agency.
5 Because of the challenge in particle size
6 reduction, we knew that it would be very difficult
7 to snort in terms of the full output, using the
8 optimal multi-tool method.

9 So we included that arm and had subjects
10 snort that. And then sieving to try to at least
11 improve the ability to snort, and that's
12 represented in the manipulated, sieved arm and with
13 a low yield.

14 I'd also like to call up Dr. Webster again
15 as the PI on this study with some observations of
16 the difficulty in that experience in the intranasal
17 HAP study.

18 DR. WALSH: Can I ask a couple of questions
19 first, and then maybe Dr. Webster --

20 DR. DAYNO: Sure. Absolutely.

21 DR. WALSH: Yes. The second question
22 related to this is that clearly a lot more of the

1 drug got in when you gave the whole crushed
2 formulation, although it might not appear to be
3 optimal. Perhaps what we're looking at here is a
4 lot of oral absorption of the chunks that would
5 have gone down into the GI tract with a larger set.

6 Then the other part of it is
7 probably -- well, I guess would you agree with
8 that, just based on the shape of the curve? I know
9 that we can't really differentiate that.

10 DR. DAYNO: Yes. We would agree with that.
11 I think we came to the same conclusion because
12 material -- although the majority of the subjects
13 were able to snort most of it, we think that a fair
14 amount may have been swallowed and absorbed in the
15 GI tract, reflective in that PK curve.

16 DR. WALSH: Okay. Then can I just clarify,
17 for this manipulation, am I correct that this was
18 manipulated for a period of 3 minutes?

19 DR. DAYNO: This was manipulated using the
20 optimal multi-tool method, Tool F, followed by
21 Tool J.

22 DR. WALSH: Right.

1 DR. DAYNO: Yes. There wasn't a time factor
2 to that multi-tool tool procedure.

3 DR. WALSH: Okay.

4 DR. DAYNO: It was optimized based on many
5 combinations of testing of how to get to the best
6 particle size reduction.

7 DR. WALSH: Okay.

8 DR. DAYNO: What we were trying to
9 accomplish here was cover the full range of
10 experiences that abusers may try, the full output
11 of the product manipulated that would be hard to
12 snort, and then seeing the chunks, what would be
13 more amenable to snorting in the other manipulated
14 sieved arm.

15 DR. WALSH: Right. Then I guess my last
16 question about this would be a good question for
17 Dr. Webster, and that is what the qualitative
18 experience was, and are the chunks sharp, are
19 they -- what's --

20 DR. DAYNO: I will let Dr. Webster respond
21 to that.

22 DR. WEBSTER: That's exactly correct. In

1 fact, a number of the subjects said things like,
2 "This feels like ground glass that we're snorting.
3 Do we really want to do this?" I mean, they did it
4 because they're almost professionals. This is what
5 they do. But they did not like it. And the reason
6 we saw take-drug-again difference here so
7 significant is because they're not going to take
8 drug again. They will not repeat this. And they
9 said that to me, as they were doing it, saying, "Oh
10 my God. If you're trying to develop an
11 abuse-deterrent, you've got one here" for this
12 route. Yes.

13 Obviously, because of those hunks and the
14 glass-like stuff that they were insufflating,
15 that's not going to be absorbed, so basically it's
16 swallowed. That's the only way they could get it
17 in basically to evaluate it.

18 DR. DAYNO: Let me just add to that
19 supported by the data, what I'll show you here is
20 from the ease of snorting scale on this slide
21 coming up. This is a unipolar 100-point scale
22 reflecting the subjects' experience, which is asked

1 a couple minutes after, 5 or 10 minutes after
2 snorting, from very difficult to very easy.

3 So it reflects the pattern of crushed
4 MS Contin with a very high ease of snorting rating,
5 and then the manipulated Arymo with all the
6 particles very low, and the manipulated, sieved,
7 achieved the ease of snorting outcome compared to
8 placebo. You see that represented by the data
9 here.

10 DR. WALSH: Okay. Thank you very much.

11 DR. BROWN: Dr. Flick?

12 DR. FLICK: Thank you. Randall Flick. This
13 question is for Mr. Radie.

14 Mr. Radie, the crux of the decision that the
15 committee faces is based on the abuse deterrence of
16 Arymo. I think some of us are a little bit
17 dismayed or surprised that there isn't a more
18 standardized approach to determining abuse
19 deterrence.

20 Earlier, one of your folks talked about
21 thousands of hours that were spent trying to
22 determine what the right methods or tools were in

1 determining the abuse deterrents. And I think it's
2 important for me and for the committee to know that
3 at the end of those thousand hours, that the tools
4 and methods chosen were the ones that were most
5 appropriate to determine the abuse deterrence value
6 of this formulation.

7 I think it's important for us to hear a
8 definitive statement from you that says that is
9 indeed the case. Otherwise, we're left to look at
10 only a small portion of work that may have been
11 done by your people.

12 MR. RADIE: Sure. I'll start by saying that
13 I understand the dilemma of standardization because
14 each of the technologies are different. So I think
15 it requires the flexibility to look at different
16 tools and mechanisms and solvents, because each of
17 these technologies are quite different. So I do
18 understand the FDA's challenge in trying to
19 standardize those tests.

20 I feel extremely confident and I can assure
21 you that everything was done to figure out how best
22 to particle-size reduce this product, to dissolve

1 it, to figure out the best way forward in the work
2 that has been done.

3 DR. FLICK: So that no more effective means
4 of defeating the abuse-deterrents were left in the
5 laboratory, so to speak.

6 MR. RADIE: We do not believe so.

7 DR. FLICK: You don't believe so or you know
8 so?

9 MR. RADIE: I mean, you know everything --

10 DR. DAYNO: Dr. Flick, if I may add to that,
11 because I was sort of charged with that. I think
12 that the tests that were done were very iterative
13 in terms of trying to get to optimal manipulation,
14 and a lot of it is based on characteristics of a
15 product.

16 I think the challenge of standardization in
17 Category 1, it was actually the topic of a meeting
18 on Category 1, a focus group meeting, about a year
19 ago. Members of FDA were there. I sat on one of
20 the panels. A lot of it depends also on the
21 characteristics of a given product and in an
22 iterative fashion, and working with the FDA to make

1 sure you test it to fail, you have taken it as far
2 as you could go.

3 The example of that with Arymo is with
4 single tools, which is often the endpoint for
5 particle size reduction, we realized the yield was
6 low and then went and did multi-tool manipulation
7 to try to test it further.

8 DR. FLICK: I appreciate that. I think it's
9 just part of the committee's due diligence, right?
10 We have to know that you did your best, that there
11 was nothing left in the laboratory, and that you're
12 willing to say that definitively. Because if you
13 can't say that definitively, there isn't a person
14 on this committee that's going to vote for
15 approval, at least not me. If you can say that
16 definitively, then we can move on.

17 DR. DAYNO: Yes, I can say that
18 definitively.

19 DR. FLICK: Good.

20 DR. BROWN: Dr. Floyd?

21 DR. FLOYD: This is James Floyd, University
22 of Washington. I think this question might be for

1 Dr. Katz. I think during your presentation,
2 attempts were made to link differences in drug
3 liking and the high scales to basically clinical
4 endpoints of differences in abuse. I didn't really
5 understand the link there or what the data were to
6 establish that linkage. Maybe if you could explain
7 that a little bit.

8 DR. DAYNO: Dr. Katz?

9 DR. KATZ: Yes. In the study that we did,
10 we worked with Sandy Comer from Columbia who's a
11 substance abuse researcher, and we got a hold of a
12 number of datasets of clinical trials that she had
13 done in heroin users where they were using
14 depo naltrexone, and we were able to get VAS
15 drug-high measures within patient across multiple
16 ones of those studies. Those were meta-analyzed
17 and combined.

18 Then as the outcome measure, we had drug-
19 taking behavior of those very same heroin users
20 when they had gone out into the community. So we
21 were able to look to what extent was the subjective
22 perception on the clinical drug high predictive of

1 their actual drug use in the community. In doing
2 so, we were able to establish that link between the
3 8 to 10 millimeter range of VAS drug high and their
4 heroin use in the community.

5 DR. FLOYD: So these were measured within
6 the same study, and what was the measure of the use
7 of the opiate? Was it episodes of overdose? Was
8 it frequency of use? What was the measure?

9 DR. KATZ: We did two different ways. The
10 first way was actually treatment or retention. So
11 when the heroin user either fails to show up or
12 shows up with signs of heroin use in their urine,
13 they're counted as a failure. We looked at the
14 degrees of drug high that separated the group of
15 patients who were retained from the ones that were
16 not retained. That was one approach.

17 A second approach, we used what's called a
18 breakpoint approach where Sandy in her lab has a
19 way of assessing at what point patients would
20 prefer money over heroin. We looked at that
21 breakpoint as another endpoint against which to
22 compare the drug high scores from the subjective

1 endpoint studies.

2 DR. FLOYD: I'm sorry. I'm still a little
3 bit confused. I understand the clinical endpoint
4 being relapse into heroin use or failure to adhere
5 or show up for study visits. What was the
6 surrogate measure? Was it -- I don't understand
7 what the intervention was.

8 DR. KATZ: The surrogate endpoint that we
9 used in the second example was what's called a
10 breakpoint analysis. Actually, probably Dr. Walsh
11 knows more about it than I do. But it's a method
12 of giving patients increasing doses of heroin and
13 determining at what point would they prefer to
14 accept money rather than heroin. Depending on how
15 much naltrexone they had on board, we're able to
16 compare the degree of drug liking they experienced
17 when given heroin to their value of that same dose
18 of heroin as expressed in that money versus heroin
19 breakpoint.

20 DR. FLOYD: Okay. That's helpful. So there
21 are no studies linking liking or high for
22 abuse-deterrent formulations of opiates and risks

1 of adverse effects. These are a different type of
2 intervention.

3 DR. KATZ: Well, the closest that's been
4 done to that is the second study that I showed
5 early, which I didn't do. It was done by Allen
6 White and colleagues in Boston. What they did is
7 they collected up about 21 different human abuse
8 liability studies in which drug high, Emax drug
9 liking, and other endpoints were ascertained. And
10 then they went into the National Survey of Drug Use
11 and Health, which is run by the gentleman sitting
12 to your left, as well as DAWN, which is another
13 database, and attempted to compare the degree of
14 liking in the abuse liability studies with the
15 lifetime non-medical use of those very same
16 molecules. And these are very large survey
17 studies, so they were also able to establish a
18 linkage that way, between the human abuse liability
19 studies and those drug-liking scores, and real
20 events in the community, across a variety of drugs.

21 So two different ways at actually both
22 triangulating and more or less the same result.

1 DR. FLOYD: Thank you.

2 DR. BROWN: Mr. O'Brien?

3 MR. O'BRIEN: Joe O'Brien, patient
4 representative. My question actually was from
5 early this morning, and it's really a discussion of
6 the real-world and get clarification and to
7 specifically address Dr. Dart with slide 15,
8 relative to the pathway of progression to substance
9 abuse.

10 DR. DAYNO: Dr. Dart. We can show slide 15.

11 MR. O'BRIEN: So in my world of patients,
12 which includes those patients that have pain severe
13 to require daily, around-the-clock, long-term
14 opioid treatment for which alternative treatments
15 are inadequate, that group is there.

16 I'm not sure of that group. I don't know
17 any data that shows me how many of those are
18 crossovers to the endpoint of poison centers.
19 However, we do know, both in communication with
20 them and personally actually, that there is
21 tendency towards addiction and down this scale.
22 But most often, for that community, and what I was

1 looking for Dr. Dart is some granular view between
2 the susceptible to addiction and a chew, crush,
3 swallow, through that first manipulation. Because
4 in our experience, it seemed that the real first
5 level is obviously taking multiple pills. And we
6 discussed about that, you can't be proof versus
7 that.

8 However, our experience, anecdotally, we see
9 a trend that with the changes in regulatory and
10 policy, that in effect is helping with that because
11 it's harder to get a prescription, so therefore you
12 don't want to use it up by just taking multiple
13 pills, because now you have to go explain yourself
14 in seven days, depending on what state you're in.

15 However, the easiest one and it seems to be
16 that the -- in our experience, and I have to
17 clarify for my understanding of this, is that it's
18 the path of least resistance. So the first thing
19 is to take multiple drinks. It's easy to get
20 alcohol to include with it. More and more, as we
21 say, another gateway drug, which we heard from one
22 of the speakers, is smoking dope. And smoking weed

1 is becoming more and more easily acceptable. So
2 the combination of those are helping to elevate
3 that Emax even though most patients don't know what
4 an Emax is, but they're going for that.

5 So relative to that, how much does that
6 play, Dr. Dart? And is there more granular between
7 here, from a preventive basis that perhaps doesn't
8 address the endpoint and the crisis? Which I
9 readily understand we have to address. But that
10 crisis begins with a very broad novice person who
11 starts to get introduced to these drugs and then
12 becomes addicted and eventually gets down there.

13 DR. DAYNO: Yes. Dr. Dart?

14 DR. DART: Yes. I mean, I basically agree
15 with your progression that you're describing. And
16 I think part of the problem may be the necessity to
17 kind of crunch these things together to get them on
18 one slide. I have more expanded versions of this,
19 that I agree that what happens in the pain patient,
20 they don't necessarily start out to be addicted,
21 but if they want their pain relief faster, most
22 people figure out that they can chew it and get

1 their pain relief faster. But then they realize
2 that they actually liked that feeling as well.

3 For a small proportion of patients, they
4 will go and develop other -- in fact, I've had
5 people in my own division at Denver Health, who
6 we've had to get through treatment, who started
7 exactly that way.

8 I think you were mentioning the proportion
9 of those patients compared to others, I can't put a
10 good number on that. I would like to, and I've
11 looked. But how many people start as a legitimate
12 pain patient and end up going down the spiral, and
13 how many were experimenters who really came for
14 recreational purposes and ended up going down the
15 spiral, I don't know. Maybe other people have a
16 better idea, and I'd love to know that information
17 if they have it.

18 MR. O'BRIEN: Well, again, the concern here
19 is we have a study and we have a drug and it's
20 looking at 38 intentional users as a study, but
21 from my perspective, we have thousands of patients
22 that are being introduced to this that could end up

1 down there. And that's the ones that I'm
2 particularly concerned with in there.

3 DR. BROWN: Dr. Bateman?

4 DR. BATEMAN: Brian Bateman. This is a
5 question for Dr. Katz and relates to slide 72. You
6 talked a bit about the study that you did, but I'm
7 wondering in this meta-analysis, did they look at
8 other measures that are commonly used in human
9 abuse potential studies like take drug again, or
10 drug high, and attempt to correlate those and
11 define clinically meaningful differences in
12 association with rates of non-medical use.

13 DR. DAYNO: Dr. Katz?

14 DR. KATZ: Yes, they did look at other
15 endpoints, subjective endpoints from abuse
16 liability studies. They looked at drug high. And
17 I can't remember. They looked at one more that I
18 can't remember offhand. They used regression
19 models to try to attempt to discern what the
20 relationship was between the degree of liking seen
21 in the human abuse liability study, and then these
22 real-world events. They did find that there was a

1 relationship between each one of those subjective
2 endpoints in the real-world events.

3 I just put on the slide the one final bottom
4 line with respect to an abuse-deterrent ADF, which
5 is that this degree of production and drug liking,
6 they modeled that that would be expected to
7 correlate with that degree of reduction of lifetime
8 non-medical use.

9 They had similar numbers for some of the
10 other subjective endpoints, but I just don't have
11 them in my head. I do have the paper though, so
12 I'd be happy to show it to you later if you'd like.

13 DR. BATEMAN: Thank you.

14 DR. BROWN: Dr. Wesselmann?

15 DR. WESSELMANN: I wanted to come back to
16 the solvents, solvent 18 that we discussed. It was
17 mentioned that although it resulted in a high
18 release of this preparation of opioids, that the
19 substance was toxic. But my question is what was
20 actually the toxicity?

21 So if an applicant would have taken this
22 preparation, was it life threatening? And what was

1 the toxicity of the other solvents? It was unclear
2 to me why a solvent was even tested if it was
3 toxic, or was it just mildly toxic, if you can
4 explain.

5 DR. DAYNO: I'll invite Dr. Cone up to
6 respond in terms of the range of solvents. But
7 first we'd like to say that a broad range is tested
8 to include extreme conditions, so both ingestible
9 and non-ingestible. Consistent with trying to
10 challenge the formulation, it represents a broad
11 range, some of them being extreme conditions.

12 Dr. Cone?

13 DR. CONE: Yes. The range of solvents is
14 really identified -- not the specific solvents, but
15 the types of solvents are identified in the FDA
16 guidance for Category 1 studies. So we typically
17 pick out a range of solvents that are non-toxic and
18 toxic. And all of the organic-type solvents are
19 toxic in different ways.

20 The solvent 18 happens to be one of those
21 that's particularly toxic; probably would be
22 life-threatening if they drank it. But we covered

1 the range because there's always more things that
2 people could do if they wanted to spend hours
3 evaporating solvents and doing things.

4 It's another way to isolate morphine. In
5 this case, it would be morphine in combination,
6 morphine and PEO. But we really just follow the
7 FDA guidance to look at a broad range of solvents;
8 not that people really use these solvents very
9 frequently, but they could be done.

10 DR. WESSELMANN: I still want to ask more
11 questions about it because it seems there was
12 something very particular about this solvent that
13 made it very different from all the other ones. Is
14 there a non-toxic version? What made it so toxic,
15 and is there another solvent available? Because it
16 concerned me to see that this really was sticking
17 out on the slide that was provided with the
18 material that we received prior to the meeting.

19 DR. CONE: Well, again, the selection of
20 solvents are also based on availability, real-world
21 availability. These solvents can be obtained, and
22 people could try to use them.

1 Solvent 18 is just one of those quirky
2 organic, toxic solvents that has a little bit
3 better way of extracting out morphine. So it's a
4 quirky-type solvent in a laboratory. I doubt many
5 people would use it, but it did what it did.

6 DR. BROWN: Dr. de Wit?

7 DR. DE WIT: Yes. I'm struggling a little
8 bit with the results of the oral abuse liability
9 study, that's EG-08, and I'm looking at slide 58,
10 and there are other ones.

11 It seems like we're getting conflicting
12 messages from this study. One is that the kinetic
13 profile and the ratings of liking over time look
14 terrific. So it's exactly what we would want to
15 see from abuse-deterrent point of view; that is a
16 slow onset, that's the most important thing, and a
17 slightly lower peak. I think it's the combination
18 of those incidentally that's a critical variable to
19 look at, the rate of onset and the peak. So
20 neither one of those alone is going to be
21 informative.

22 But then we were told that on the secondary

1 measure, which is sometime later the people are
2 asked would they like to take it again, and there
3 we don't see the differentiation. So there are
4 these post-abuse liability studies, apparently,
5 that may be able to tell us which of those two
6 indicators is the more accurate for predicting
7 whether people will go back to use; that is whether
8 people say at the end of the study that they would
9 like to take it again, or how they actually
10 experience the drug effect during the study.

11 So to some extent, it's an empirical
12 question. But for us, it's a little bit of a
13 struggle because based on those secondary measures,
14 the critical comparisons didn't look very different
15 on the self-report measures, whereas they did look
16 different in the laboratory session.

17 So I don't know whether you could extract
18 from the post-abuse liability studies which are
19 those indices we should be paying more attention
20 to.

21 DR. DAYNO: I'll call up Dr. Katz to comment
22 on the clinical relevance of the endpoints. Let me

1 start by saying that first, subjects couldn't chew
2 the tablets. So the method of manipulation was
3 different than some of the other oral HAP studies.

4 We had to go even further and use tools to
5 manipulate the product in the clinical pharmacy,
6 and then it was given to the subjects. So some of
7 that experience was not part of the overall HAP
8 study. And with that, I'll ask Dr. Katz to comment
9 on the clinical relevance of the secondary
10 endpoints.

11 DR. KATZ: Well, your observation is correct
12 that the primary endpoint was statistically and I
13 also think clinically meaningfully different
14 between the two main groups. One of the key
15 secondary endpoints was positive. I think you're
16 focused on the take-drug-again endpoint, and people
17 do wonder, well, which is actually the best
18 predictor of real-world abuse? Is it the Emax drug
19 liking? Is it the take drug again? Is it
20 something else?

21 I haven't seen any studies looking
22 specifically at take drug again, as to whether that

1 predicts real-world behavior, better or not as well
2 as some of the other endpoints. Right now, that's
3 just a matter of conjecture as to whether that
4 might be more or less predictive.

5 In terms of the actual results of take drug
6 again though, I went back to the study report and
7 wrote down the p-values. I'm not sure if they're
8 all in the briefing package, but it was assessed at
9 12 hours, at 24 hours, and then the maximum effect
10 that each patient reported was also looked at
11 separately as endpoint.

12 The p-values for those were at 12 hours or
13 .033, at 24 hours was 0.048, and the Emax version
14 of it was 0.054. So they were either just under or
15 just over the statistical significance threshold,
16 so maybe not as bad as it might have looked.

17 DR. BROWN: Dr. Hertz?

18 DR. HERTZ: This is Dr. Hertz. I just to
19 want to address that point as well from our
20 perspective. As we've been embarking on these
21 studies, we've borrowed methodology from the abuse
22 liability world to evaluate abuse deterrents. And

1 as you've heard, this is a growing new, evolving
2 field.

3 We are dealing with subjective endpoints,
4 and we don't really have objective measures, at
5 least not yet. This is what we encounter
6 throughout the work in analgesics as well. So our
7 approach is to basically ask the patient, in this
8 case ask the subject, what they're experiencing.
9 And when we look at trying to determine whether a
10 difference between two products is meaningful,
11 we're trying to understand what some of these
12 different outcomes mean and create context.

13 So if somebody finds one drug results in a
14 greater high, which is often associated with a
15 greater liking, what does that mean in terms of
16 whether the product, the comparator, is
17 abuse-deterrent or not? So if there's a difference
18 in drug liking, is the difference big enough for
19 the individual to care?

20 So we use the willingness to take the drug
21 again as a way to provide context for these other
22 measures because if one drug provides a drug high

1 of 78 and the other of 84, they apparently are
2 high, and if the drug liking scores are a few
3 points apart, they apparently like them both.

4 So liking one drug more than another is
5 interesting, but it doesn't clearly indicate
6 whether the reason for the difference represents a
7 deterrent effect.

8 So in the absence of better data, we had to
9 make a decision on what the endpoints that we think
10 are really the relevant ones. Take drug again
11 seems to have some value in terms of when we ask
12 subjects because we have seen it differentiate
13 across products.

14 When we don't see a difference, we know it's
15 certainly possible to get a difference. We, in
16 this case, have bigger differences in take drug
17 again for the nasal route that we think may provide
18 context for the other outcomes for the nasal study.
19 But for the maximum effect in the oral, there
20 wasn't much of a difference, and it didn't meet
21 statistical significance.

22 Remember, the statistical significance in

1 the difference in drug liking means it's not a
2 difference by chance. It doesn't mean it's a
3 difference that has clinical value. That's why we
4 struggle with understanding the intersect between
5 the numbers, the statistical evaluations, and the
6 meaning for the product as it's expected to behave
7 once it's out in the community.

8 So we don't emphasize the statistical
9 significance in the drug liking or the drug high.
10 Once subjects are getting high, once they like the
11 drug, the question just for abuse deterrents -- I'm
12 not minimizing the importance of this in other
13 spheres. But the importance of trying to
14 understand a potential deterrent effect is the
15 challenge.

16 Right now, we think the take-drug-again
17 score is important to helping us understand it in
18 the context of these all being subjective measures,
19 so we basically ask the subject.

20 DR. KATZ: Just to add yet another
21 complexity to the conversation, I was interested in
22 this issue also of the sensitivity of the different

1 endpoints, so I did a review of all the oral
2 abuse-deterrent studies that I could find. And it
3 turns out that the take drug again endpoint gives
4 you about 0.7, 0.75 of the effect size that you
5 would see in the Emax drug liking, which means that
6 the studies are underpowered for the secondary
7 endpoint of take drug again. If we wanted to know
8 whether those were statistically significant, we'd
9 have to power our studies adequately to show that.

10 DR. BROWN: Dr. Hertz will now provide us
11 with the charge to the committee.

12 **Charge to the Committee**

13 DR. HERTZ: So that's all nice and clear to
14 everyone, right?

15 (Laughter.)

16 DR. HERTZ: In the past when we reviewed
17 NDAs for these products, even in a few cases during
18 development, we came to the advisory committee to
19 seek advice. We prospectively sought advice for
20 ideas about how to study these products in the
21 postmarketing period.

22 We prospectively -- well, this wasn't

1 prospective. But we brought early applications to
2 the committee to hear about the methods that were
3 being used, were they robust, what else should be
4 done. And boy, we heard lot, and we've tried over
5 the years to take all that into consideration. And
6 it went a long way with our experience in reviewing
7 these products to the formation of the guidance
8 that we have for the development of these products,
9 the document that's been finalized.

10 But we continue to learn, it's not static.
11 We took a little break for a while in coming to AC
12 when we thought that the issues in these
13 applications were not novel, based on the earlier
14 experiences, and we thought we could apply the
15 advice beyond each individual setting.

16 But it is getting more complex. There are a
17 lot of unanswered questions about the impact of
18 additional products as they come to market. Do
19 they increase the prescribing practices? Do they
20 change prescribing practices? We have seen some
21 data to suggest no. We have seen some data to
22 suggest yes, about different aspects of it.

1 Then what do we do with these outcomes?
2 We've borrowed a methodology. We don't yet have
3 postmarketing data that we have had the opportunity
4 to make a formal FDA review and comment.

5 I believe Dr. Staffa will have a comment
6 about some of the studies that have been published,
7 but we have not -- the way you can tell when we're
8 in agreement with sponsors is whether we implement
9 labeling to reflect sponsors opinions about their
10 product. And if there are publications, but no
11 labeling, it suggests quite clearly that either the
12 sponsor hasn't come to us, which one would wonder
13 why if they think they have a finding, or that
14 we've disagreed.

15 So we do not yet feel that there are data
16 from postmarketing work that support additional
17 labeling for products. So as you think about
18 publications, just know that we have not weighed
19 in, in agreement. So we are not yet ready to say
20 there are correlations with postmarketing data.
21 That makes it harder.

22 When we have study results that we think our

1 analyses are in concordance with the applicants,
2 that's pretty straightforward; we try to let the
3 data speak for itself, and then we try to bring up
4 areas, where we have disagreement, to get some
5 input in.

6 It's extremely important because we have
7 been swayed by committees where we've had
8 disagreements. You folks clearly let us know when
9 you disagree with us, and that's incredibly
10 important. And we actually listen, and we can be
11 swayed.

12 Today you've heard a lot about this
13 particular product, the analysis, the evaluations
14 that have been conducted, and we're going to now
15 ask you to consider what you've heard. As you go
16 into the questions, they'll be formally read, but
17 we're basically going to be asking about your
18 conclusions about abuse-deterrent properties for
19 this product for three routes of administration,
20 for the oral, nasal, and intravenous, based on the
21 data that you've heard.

22 If you think that there are potential -- and

1 again, this is premarketing, so it's potential
2 effects, does it warrant labeling? And then taking
3 everything into consideration, would you support
4 approval of the product or not?

5 Then what's as important as specific answers
6 is your reason for your answers. You may notice we
7 take quite a bit of notes. I mean we will get a
8 transcript as well, but we look at these
9 notes -- I'm still referring to my notes from
10 advisory committees from years past, because the
11 deliberations are as important as the final votes
12 or the final answers.

13 So I just want to thank you again for your
14 time, and I really look forward to the discussion
15 of the questions. Thank you.

16 **Questions to the Committee and Discussion**

17 DR. BROWN: Thank you, Dr. Hertz.

18 We're now going to proceed with the
19 questions to the committee and the panel
20 discussions. I would like to remind public
21 observers that while this meeting is open for
22 public observation, public attendees may not

1 participate, except at the specific request of the
2 panel.

3 The first thing to discuss is that we're
4 going to be using, in our voting, an electronic
5 voting system for this meeting. Once we begin the
6 vote, the buttons will start flashing and will
7 continue to flash even after you have entered your
8 vote. Please press the button firmly that
9 corresponds to your vote.

10 If you're unsure of your vote or if you wish
11 to change your vote, you may press the
12 corresponding button until the vote is closed.
13 After everyone has completed their vote, the vote
14 will be locked in. The vote will then be displayed
15 on the screen, and the DFO will read the vote from
16 the screen into the record.

17 Next, we'll go around the room, and each
18 individual who voted will state their name and vote
19 into the record. You can state the reason why you
20 voted as you did, if you want to. You're not under
21 pressure to do that. We will continue in the same
22 manner until all the questions have been answered

1 or discussed.

2 Question number 1 for discussion; please
3 discuss whether there are sufficient data to
4 support a finding that Arymo ER, morphine sulfate
5 extended-release tablets, has properties that can
6 be expected to deter abuse, commenting on support
7 for abuse-deterrent effects for each of the three
8 possible routes of abuse: oral, nasal, and
9 intravenous.

10 Now having read this question, are there any
11 issues among the members of the panel concerning
12 the wording of the question? Is it clear to
13 everybody, and is it a question that we can hope to
14 answer?

15 (No response.)

16 DR. BROWN: So we're going to move on to
17 questions and comments about this particular
18 question, and we're going now to Dr. Floyd.

19 DR. FLOYD: Would you like us to simply vote
20 and just share our comments when we vote? I don't
21 actually have questions about the questions.

22 DR. BROWN: No. Do you have questions about

1 this particular question?

2 DR. FLOYD: I guess what I meant is do you
3 want us to discuss the issues broadly before we
4 vote?

5 DR. BROWN: Yes.

6 DR. FLOYD: Okay.

7 DR. BROWN: We want you to discuss first
8 whether or not you agree that this is a reasonable
9 question to ask and that it can be understood as
10 being a reasonable question.

11 DR. FLOYD: Okay.

12 DR. BROWN: And then we would like for you
13 to -- we will discuss among ourselves the issues
14 pursuant to the question itself.

15 DR. FLOYD: Thank you, Dr. Brown.

16 This is James Floyd, University of
17 Washington. I have a comment that relates to
18 whether this is a fair question, and I'm glad I
19 have the chance to say something before voting
20 because I think that based on the information we've
21 heard, I can accept that there are properties of
22 these drugs that make them hard to manipulate.

1 I think that other panel members have
2 identified a lot of limitations of these studies.
3 But I'm very uncomfortable with the language in the
4 question and in the labels for previous
5 abuse-deterrent drugs. Specifically that -- and
6 let me read off the question here -- a given drug
7 has properties that can be expected to deter abuse.

8 There are two reasons for this. One is that
9 measures like drug liking, like how high you are on
10 some numerical scale, even the PK characteristics,
11 are biomarkers. We really don't know how the
12 effects on these biomarkers relate to effects on
13 abuse for any valid clinical endpoint whatsoever.

14 The language in this question "expected to
15 deter abuse" suggests to me that we actually have
16 some information or evidence that these biomarkers
17 are validated surrogate endpoints. So the language
18 in the question troubles me.

19 Secondly, most of these drugs are prescribed
20 by generalists, by general internists like me, by
21 family docs, by mid-level providers, and I think
22 for the most part they're not familiar with the

1 nuances of the evidence that go into the labeling
2 or what some of the language might mean. And if I
3 see something about "expected to deter abuse" I
4 might think that there's some evidence that
5 actually these drugs result in less abuse compared
6 to other drugs.

7 I think there's enough ambiguity here that
8 there's a concern about an unintended effect that
9 the language and the labeling could result in
10 potentially more inappropriate prescribing.

11 So I guess, yes, my concern is with the
12 language. With this language, I might vote a
13 certain way, but with other language in the label,
14 I might vote a different way about what could be
15 included in the label.

16 DR. BROWN: Not that this will change
17 anything, but do you have a specific suggestion
18 about ways to change the question relevant to --

19 DR. FLOYD: I do. Thank you for asking.
20 Maybe something more direct, that these drugs have
21 properties that make them difficult to manipulate,
22 or make it more difficult to chew, snort, or

1 inject; actually, statements that reflect the
2 evidence that we do have. And there's been a lot
3 of evidence presented, but none of it relates to
4 whether these effects on biomarkers are expected to
5 prevent abuse.

6 So language that directly represents the
7 evidence that we've seen; that's what I would
8 suggest. Obviously, not for just this drug, but
9 for all of the abuse-deterrent drugs. I don't
10 think there's any reason to single out this
11 particular drug.

12 DR. BROWN: Dr. Gerhard?

13 DR. GERHARD: Tobias Gerhard. First of all,
14 I want to second the comments we just heard. I
15 think they are very relevant. I just want
16 to -- although this is not the topic of
17 discussion -- make a brief statement that there
18 really are concerns about the effectiveness of
19 opiates for chronic pain. And that's something we
20 shouldn't neglect when we make a decision like
21 this, although it's not the topic of the meeting,
22 and I recognize this.

1 The other issue that I want to briefly
2 comment on, it's going back to slide 15, because
3 this slide that shows the initial exposure to the
4 opiates, susceptibility to addiction, and then this
5 path down towards chewing, crushing, swallowing,
6 snorting, injection, this suggests that this is the
7 only way to get addicted to opiates when opiates
8 are prescribed.

9 I'm not an addiction researcher, but I
10 certainly don't think that that's the case. There
11 are many patients that may get addicted to opiates
12 without ever doing something along those lines.
13 Maybe even without ever taking additional pills,
14 which is something that we're not even addressing.

15 That relates a little bit to this very
16 justifiable opening statement by the sponsor, and
17 all sponsors, of abuse-deterrent formulations that
18 say when we talk about oral deterrents, we're not
19 talking about taking two pills. We're talking
20 about manipulating the drug. Again, that's not the
21 only way to become addicted with these drugs.
22 Obviously, it's not something that the sponsor here

1 is claiming, but it's just important for context.

2 So I think in many ways, the oral deterrence
3 labeling is particularly problematic because it
4 could have easily the unintended consequence that
5 it basically lowers the bar to opiate prescribing,
6 that the opiate is now considered to be safe, and
7 safe from developing addiction through the oral
8 route. That is one comment I have.

9 Then just specifically to the question, I
10 think here the data that the sponsor shows for the
11 nasal and intravenous routes is very strong, I
12 think. Although it's not backed up by
13 epidemiologic data, as we just discussed, I think
14 there we really see these big differences that line
15 up between the biomarker-type measures and the
16 take-drug-again question that to me seems just
17 incredibly irrelevant because it pretty much
18 directly asked the question that matters. In terms
19 of addiction, would you take that again?

20 The oral formulation where it's much more
21 difficult, where you see some differences in the
22 biomarkers, but when you look at the question of do

1 you want to take the drug again or would you take
2 this drug again, there really isn't much of a
3 difference. And in this particular situation, the
4 manipulation that was implemented are certainly not
5 time-consuming or difficult to achieve.

6 I think it's one thing if there is basically
7 a small chemistry lab needed and a couple of days
8 to do something, but here it's a pretty
9 straightforward, quick physical manipulation, that
10 might not be pleasant if you're a lab tech and you
11 have to do it for 50 patients, but it's certainly
12 something that you're willing to do as an addict.

13 So I think, from my perspective I feel that
14 this product probably would deserve a claim for the
15 intravenous route, the nasal route, but I would not
16 give it for the oral route, both because of the
17 comparative weakness of the data with the
18 take-again measure, and the more general problem of
19 the other routes of oral abuse that I wouldn't want
20 to put a claim on there that lowers potentially the
21 bar for prescribing, potentially exposing more
22 patients to the risk of addiction when they don't

1 have to be.

2 DR. BROWN: Recognizing that this particular
3 language is the standardized language, I believe,
4 that is placed in the patient and physician record
5 that comes with the drug, "has properties that can
6 be expected to deter abuse," that's what's coming
7 out with these drugs now, just for point of
8 clarification. Dr. Higgins?

9 DR. HIGGINS: In taking account of
10 everything I've heard today, I'm really kind of
11 conflicted. I find that there are some significant
12 challenges with the data presented. In addition to
13 the subjectivity of the liking endpoints, I find
14 the small samples for the oral and nasal routes to
15 be a challenge. I was swayed by the clinical
16 significance of drug liking being nominal and
17 questionable.

18 But overall, whether I agree with the
19 endpoints or not, I feel the primary and/or
20 secondary were met, and I would support approval of
21 language about these being abuse-deterrent in the
22 oral, nasal, and intravenous routes.

1 DR. BROWN: Dr. Emala?

2 DR. EMALA: I'd like to first comment on the
3 oral discussion, and I think from both Category 1
4 and Category 3 levels, I'm not comfortable that
5 there is sufficient data to support that type of
6 labeling.

7 I think in the Category 1 studies, we talked
8 a lot about the toxicity of solvent 18, but I think
9 it should be pointed out that in a lot of totally
10 ingestible solvents, greater than 50 percent of the
11 drug can be eluted in 30 minutes in a volume that
12 is then easily taken orally. So I think the
13 Category 1 data is totally unconvincing as far as
14 an oral deterrent.

15 In the Category 3 studies, this committee
16 has spent a lot of time discussing what a 10-point
17 difference is in a visual analog scale in various
18 meetings. I remain quite skeptical that a 5 or
19 10-point change in a visual analog scale means much
20 clinically, but I decided to think about this in
21 comparison to the other six drugs that the FDA
22 provided us in our briefing document with the

1 labeling language of the other six drugs.

2 If you look at those that received oral
3 labeling and those that did not receive oral
4 labeling, the one that you can look at is drug
5 liking mean across all the six drugs. And a drug
6 that received a similar score -- so the visual
7 analog that we're talking about for today's drug is
8 between 5 and 10, depending on whether it's
9 manipulated or not.

10 If you look at other drugs in the category
11 that had values of 9 to 13, they did not receive
12 clearance for the oral labeling. The closest
13 comparator, I guess, is Embeda extended release
14 that had a value of 21 on its mean liking score
15 that did get the labeling that it had perhaps had
16 oral abuse-deterrent. So in both Category 1 and
17 Category 3, I'm very unconvinced that there's
18 sufficient data to support that.

19 Conversely, for both nasal and intravenous
20 routes, I do think there is sufficient data to
21 suggest that there is potentially abuse-deterrent
22 properties.

1 DR. BROWN: Dr. Hertz?

2 DR. HERTZ: I just prepared two very quick
3 slides of what the labeling language looks like,
4 just to project so everyone can see. I mean, feel
5 free to continue the critique because we're
6 listening, but this is what it currently looks
7 like.

8 (Slide displayed for reading.)

9 DR. HERTZ: Then the next slide. We always
10 include in that section immediately following,
11 reminding people that no matter what, these are
12 Schedule -- well, in the case of this example,
13 Schedule II, just to remind people that abuse
14 deterrence is not replacing the scheduling
15 determination or the abuse liability.

16 If we go back to the other slide, if you
17 have recommendations for changing that other
18 language, you can let us know now. You can send it
19 in by email. We're always trying to learn how to
20 improve this.

21 DR. BROWN: But this is the current
22 language?

1 DR. HERTZ: This is the style of the
2 language that we've been using, the previous slide.

3 Steph, the prior slide. That's how we've
4 been trying to put the language in.

5 DR. BROWN: Dr. Novak?

6 DR. NOVAK: Actually, just two quick
7 interrelated questions. One is I assume it's
8 possible for the committee to recommend against
9 some labeling claim, and then the sponsor to get
10 approval, look at the real-world postmarketing
11 data, then decide and kind of couple that they
12 might want to go back into, or is this really the
13 only opportunity that's available for the sponsor
14 to seek the label claim?

15 The second question I have is more of a
16 comment is, the last sentence on the first
17 paragraph, sort of the however, the abuse of trade
18 name blah, blah, blah, as well as by the oral route
19 is still possible, that "still possible" seems to
20 me like it's sort of this binary yes or no. And it
21 seems to me like it's almost leading somebody to
22 believe like, well, they may not be able to abuse

1 using these other properties, but they can still do
2 it via orally.

3 So it almost seems like this isn't possible,
4 and in fact, it kind of is. There's always some
5 small possibility. So I guess in changing in more
6 probabilistic language rather than more like -- the
7 possibility reflects uncertainty, but it does have
8 this sort of binary --

9 DR. BROWN: Dr. Hertz?

10 DR. HERTZ: So to address the questions,
11 labels can be changed at any point during a
12 product's existence once it's been approved. What
13 a sponsor needs to do is request a labeling
14 supplement or an efficacy supplement. We have
15 different types of supplements, depending on what
16 they contain, to support labeling changes.

17 In terms of what it takes to get the
18 postmarketing data, I'll turn that to Dr. Staffa.

19 DR. STAFFA: Right. Judy Staffa. In the
20 guidance that describes what data are required, at
21 least the state of what we know at this point, for
22 the different categories of abuse-deterrent

1 labeling, Category 4 is the one that deals with
2 postmarketing data.

3 We've laid out, to our best ability at the
4 time we wrote the guidance -- and of course, we'll
5 continue to update it -- what we'd like to see, but
6 understanding this is an evolving science.

7 So with that in mind, I'll follow-up on what
8 Dr. Hertz had said before, that none of the six
9 products that are currently on the market with
10 abuse-deterrent properties based on Categories 1,
11 2, or 3, none of them have Category 4 labeling at
12 this time.

13 I wanted to just clarify, since we're on
14 that topic, the data that were presented by the
15 sponsor from a publication, earlier, I think it was
16 Dr. Dart's slide 16 that referenced a publication,
17 I wanted to just provide a little context for the
18 record just to make sure that everybody on the
19 committee is clear on FDA's view.

20 The product, OxyContin, that was discussed
21 in the publication, is a different product than the
22 product we're discussing today, so we did not plan

1 or arrange or have any presentations on that topic
2 for this meeting.

3 We don't have any evidence at this point to
4 understand whether different formulations
5 containing different products, even if the strategy
6 is similar, we have no clue whether they would
7 behave the same in a postmarketing environment.

8 Last year, in 2015, we had announced through
9 Federal Register notice, a meeting of this joint
10 committee on July 7th and 8th, to discuss,
11 actually, a supplement that was submitted by
12 Purdue, to talk about postmarketing studies to
13 evaluate the misuse and abuse of the OxyContin
14 reformulated version.

15 This was based on a supplement that was
16 submitted, as Dr. Hertz just described, by Purdue,
17 for a labeling change. We subsequently announced
18 on June 30th, that that meeting was cancelled
19 because Purdue had chosen to withdraw the
20 supplement to be able to complete additional
21 analyses.

22 If you look at the publication on which

1 slide 16, the footnote, the publication by
2 Dr. Coplan, et al, if you look at that publication,
3 it states in that publication that the data were
4 submitted to FDA.

5 While Purdue notes -- and these are, again,
6 Purdue authors on the publication -- they note that
7 they did submit the data to FDA, they also publicly
8 noted that they withdrew a supplement that
9 contained data that addressed the same issues as
10 that publication. So that meeting was cancelled.

11 At the current time, we can't comment on the
12 data because we don't have the submission in front
13 of us. However, on the article, we are not
14 commenting today because that's not really the
15 focus of this meeting. As I've mentioned, I don't
16 think it's directly relevant to this particular
17 drug that we're discussing today.

18 However, we have publicly noted, and I know
19 the committee has heard as recently as early May
20 when we had the ER/LA REMS discussion, the use of
21 existing data, the challenges in measuring and
22 validating outcomes to be looking at any

1 intervention to try to change patterns of abuse.

2 There are many challenges, and you heard
3 some of them in that meeting in May, and we've
4 talked about them publicly in other settings as
5 well. At this point, we do not have any labeling
6 at this time. And we respectfully disagree with
7 the conclusions of the authors of that publication
8 that was cited today, in terms of how to best
9 interpret those data, and we look forward to
10 opportunities to continue to review and discuss
11 those publicly in the future.

12 DR. BROWN: Thanks, Judy. Dr. Flick?

13 DR. FLICK: Could we put that statement
14 back, Stephanie? So as I read this statement, it
15 seems to me that we have to be a little bit
16 cautious about expecting perfection and that being
17 the enemy of good.

18 So the statement, it says reduce on
19 the -- expected to reduce abuse via whatever route,
20 which implies a comparison to something else.
21 Obviously, in this case it's a comparison to
22 existing products.

1 I think the sponsor has clearly demonstrated
2 that this formulation is likely to reduce abuse via
3 all three routes. I find that in the data that we
4 spent the most time on is the Emax likability as
5 Dr. Floyd pointed out, I think those data are
6 difficult, at best, to interpret, and may not
7 really inform the discussion very much.

8 If one stands back and takes a 30,000-foot
9 level of this product, I think we can be relatively
10 assured that it's going to reduce abuse via all
11 three routes, at least in my view. Is it perfect?
12 No. Is it close to perfect? That remains to be
13 seen over time.

14 I do think that our discussions here point
15 out the difficulty and the lack of -- or maybe I
16 should say the need to standardize the approach,
17 define what abuse deterrence is, define how one
18 demonstrates abuse deterrence, and it may be
19 something that the agency and industry can come
20 together to do.

21 (The chairman temporarily leaves room.)

22 DR. BEGANSKY: Dr. Walsh?

1 DR. WALSH: Thank you. Sharon Walsh. I
2 think that the data that were shown for the
3 intranasal and intravenous routes are both strong
4 datasets, and I feel pretty comfortable with that.
5 I think the oral data are a little bit more
6 challenging because while the sponsor met the
7 predetermined endpoint for the primary outcome
8 measure, the difference is small. And then the
9 secondary endpoints weren't met, and then other
10 ones worried about what does this endpoint mean,
11 what is liking in the laboratory and what does that
12 translate to?

13 For me, personally, it's a little bit of a
14 mixed bag because one of the nice things in the
15 oral data that's clear is that we get the delayed
16 onset that Dr. de Wit talked about, and we know
17 that that's also important.

18 I think as someone who does these types of
19 studies and uses these types of measures in the
20 laboratory, there is another way that we can think
21 about it. When you do a study and you -- I'm going
22 to go on a limb here -- when you do a study and you

1 look at different doses, you see that these
2 subjective report measures really perform very
3 well. So they're dose-related, they're time-
4 related, they really capture the time-action curve
5 with opiates. They perfectly map on usually to
6 pupillary response that's an objective measure,
7 things like that.

8 In this case, the sponsor designed the study
9 the proper way, but what we don't have is we don't
10 have other doses or anything to compare it to, and
11 we don't have, for instance, another full opioid.
12 Morphine tends to be less liked, to be honest with
13 you, than a lot of the full mu opioid agonists by
14 drug users.

15 But one other way that we can think this,
16 points for those of you that aren't used to that is
17 that at least in this dataset, within the same set
18 of subjects, for a 60-milligram dose of morphine,
19 they basically gave an increase in 23 points, and
20 we can figure out what that is per milligram.
21 These effects do produce dose-related, beautiful
22 dose responses.

1 So that's about 2.6 points per milligram.
2 And if you use that as a rough proxy, then what
3 that means is that the product performed
4 equivalently to something about 47 milligrams. So
5 it reduced it by about 13 milligrams from the
6 comparison dose.

7 Then, maybe that's something that, since
8 most of you are physicians, can think, okay, so is
9 there a meaningful difference between 60 milligrams
10 and 47 milligrams roughly, and when we think about
11 abuse on the street, is that going to be clinically
12 meaningful?

13 DR. BROWN: Dr. de Wit?

14 DR. DE WIT: We have general comments and
15 specific. In general, I agree with Dr. Flick that
16 I feel we've gotten quite convincing evidence from
17 the sponsor that by all of their various measures
18 they've demonstrated a decreased abuse deterrence
19 with this drug.

20 I think we're struggling with some of the
21 specifics of the measures, but the measures of drug
22 liking and subjective rating, those are the gold

1 standard for assessing abuse liability. That's how
2 we determine abuse liability of any new substances,
3 is exactly these measures and the time course of
4 these measures.

5 So they're not just picked out of nowhere.
6 That's our main measure of determining whether
7 something's going to be abused. And they've done a
8 good job demonstrating it with their time course
9 data, and I think that the one thing I got hung up
10 with was these retrospective reports of an overall
11 rating of or whether they liked the drug or not.

12 But in general, I think they've put together
13 quite a convincing story that their formulation
14 will produce less likelihood of abuse than the
15 primary drug.

16 DR. BROWN: Dr. Galinkin?

17 DR. GALINKIN: Since I deal primarily with
18 adolescents and children, I just wanted to make a
19 couple comments about this drug and that. A very
20 high percentage of abuse, especially of
21 prescription opioids, starts in adolescents. And
22 even though this drug initially won't be labeled in

1 this population, these kids will still probably get
2 it. And if this moves forward, I urge the company
3 to move very quickly, and the FDA to move very
4 quickly, in getting these abuse-deterrent
5 formulations approved for adolescent patients.

6 I agree with the comments before that I
7 think that this will decrease abuse in an
8 adolescent population. Primarily, one on the
9 alcohol-dumping thing I think is a big deal in
10 adolescents. I think they figure out very quickly
11 what drugs go well with other drugs, and I think
12 that this drug will be less likely to be used as
13 that.

14 Adolescents like very easy fixes to break
15 the abuse-deterrent features. OxyContin used to be
16 chewed and swallowed, and I think this would help
17 prevent that. And I think crossing the line
18 between crushing and snorting a drug really does
19 increase future likelihood of abuse.

20 In fact, if somebody snorted or abused a
21 drug, I think about 70 percent of those patients at
22 any time in their life are likely to have abused an

1 opioid over the course of their lifetime. That
2 feature and making it very difficult to crush and
3 snort I think is a very important thing. So I
4 think it really meets criteria on all three.

5 Thanks.

6 DR. BROWN: Mr. O'Brien?

7 MR. O'BRIEN: From my perspective when I
8 looked at it -- you know, we've said over and over
9 again, we've got 76 people a day that are dying.
10 We have a crisis with opioids. As I looked at this
11 though, for those 76 per day, if we look at that
12 funnel that was on slide 5, as we talked about the
13 76 to the nth power, that is falling down that
14 slide as we go through it. So I look at it from
15 that perspective.

16 It seems to me, I was very impressed
17 actually. In terms of looking, the question said
18 sufficient data. It appeared to me as a
19 non-expert, but from a patient perspective as I
20 looked it and being very concerned about the
21 patient community that takes these, I said, yes,
22 there is sufficient data to say that this will help

1 the problem.

2 Research is also about innovation, and
3 innovation is does it move the pebble forward?
4 This, to me, seemed to be moving the pebble
5 forward. I don't see it as moving the bar down. I
6 see it just the opposite. I think this is moving
7 the bar up from where are right now because we are
8 in a crisis imperfect world at the moment, and I
9 think that's the situation to which we have to make
10 the decisions about this.

11 Sufficient seems to be fine for me. I think
12 when I get to wording that says expected, expected
13 is a little bit more definitive. It's reasonably
14 expected is what it seems to me. If I looked at
15 that as the criteria, is it reasonably expected
16 that we'll help the problem both in terms of the
17 death level, at the 76, I believe, yes, it will,
18 for a number of reasons here, with the nasal, and
19 with the others.

20 Even in the oral as I look at it, I think
21 that specifically will help in the top of that
22 funnel. I think it will help to deter some of

1 those novices that are getting it, and following it
2 down at the adolescent level that was just
3 mentioned, and in the adult level, I think it will
4 begin to stop them from progressing to that level,
5 so they won't be the problems of the future.

6 So from my perspective, I walk away and say,
7 yes, I think all three levels have been met.

8 DR. BROWN: Dr. Beardsley?

9 DR. BEARDSLEY: In regards to the oral
10 route, I had a bit of a conflict. On one hand, I
11 didn't think that there was a meaningful difference
12 when you looked at the comparison between Arymo and
13 MS Contin crushed; that is, I should say, Arymo
14 manipulated versus MS Contin crushed. I wasn't
15 convinced that there was a meaningful difference
16 there.

17 But one comparison that really wasn't
18 discussed too much and that was between Arymo
19 intact versus MS Contin crushed, because
20 functionally, I think that is a meaningful
21 comparison. If you think about a patient, if he's
22 unable to crush the drug, that's one vector leading

1 to more dangerous forms of abuse if he's unable to
2 chew the drug.

3 If you compare Arymo intact versus MS Contin
4 crushed, again, I think that's a meaningful
5 comparison. There the differences are more
6 dramatic. So that softened my initial negative
7 feeling about the meaningful deterrents that this
8 product offered regarding the MS Contin.

9 Then in regards to the nasal and intravenous
10 studies, I was convinced on the data presented that
11 the product would provide deterrence. I was
12 unconvinced that the methods of pulverizing the
13 drug was the most effective.

14 I spent probably no more than 30 minutes in
15 preparation for this meeting, surfing the drug user
16 websites, trying to find out what tools they use.
17 One tool that came up was these handheld Dremel
18 rotary tools with a grinding bit. In fact it was
19 linked out to a YouTube video in which someone was
20 using just a common electric drill with a grinding
21 bit, grinding down OxyContin OP to what appeared to
22 be a very fine powder.

1 I think that just emphasizes that there has
2 to be some -- what has been said repeatedly, it has
3 to be some kind of standardization for tool
4 manipulation of these products.

5 So my take of the data presented, I was
6 convinced that there would be deterrence regarding
7 nasal and intravenous routes of administration. I
8 still am a little bit of in conflict with oral. I
9 think it will provide some deterrence, but not
10 given the major contrast that is presented between
11 Arymo manipulated versus MS Contin crushed.

12 DR. DAYNO: Dr. Brown, if I may just
13 clarify.

14 DR. BROWN: Yes.

15 DR. DAYNO: Thank you. In terms of the tool
16 that you mentioned, that was tried in the original
17 battery of 25 tools on Arymo, and the tool was not
18 effective, and there was actually tool failure with
19 that when attempted to reduce the particle size of
20 Arymo. That, along with the difficulty of chewing,
21 led us to the optimal manipulation that we used in
22 the study, but that tool was part of the original

1 screen that you identified.

2 DR. BEARDSLEY: A Dremel grinding tool was?
3 That's very surprising, because we use these to
4 grind steel.

5 DR. BROWN: Dr. Bateman?

6 DR. BATEMAN: I wanted to comment on the
7 discussion about oral abuse, and I think the oral
8 abuse is a really heterogeneous category, and it
9 probably doesn't make sense to talk about it or
10 think about it as one thing. It's really three
11 parts. It's taking too much of the intact drug,
12 which this formulation and really no formulation
13 will be able to address.

14 There's second, chewing, and we saw data
15 from the RADARS system suggesting this is the most
16 common form of manipulation that patients engage in
17 when abusing the drug orally. Here, I think the
18 drug really does make significant advancements over
19 MS Contin by its hardness and is likely to be
20 difficult or impossible to chew.

21 Then there's the third category, where we've
22 been spending most of our time, oral ingestion

1 following physical manipulation, and I think here
2 the data are less clear. But the heterogeneity of
3 the category may be something that the FDA wants to
4 reflect in the label. I'd be very comfortable
5 saying this is likely to deter chewing; perhaps
6 less comfortable with reducing oral ingestion
7 following physical manipulation.

8 DR. BROWN: Dr. Farrar?

9 DR. FARRAR: As I listen to the very
10 interesting discussion today, I'm reminded of the
11 fact that no single issue is ever going to fix the
12 problem that we have. The piece that we're trying
13 to deal with today is actually a relatively small
14 one; not unimportant, but small.

15 Certainly in terms of the early experience
16 that young people have with these drugs, they're
17 very often drugs given in prescription for a tooth
18 extraction or other reasons, a small amount of the
19 immediate-release drug that stimulates a latent
20 genetically predisposition to addiction or to
21 addiction personality.

22 There are obviously experiments or places

1 where people can get access to these, and the need
2 to reduce the amount of available opioid in
3 grandma's closet or in your mother's closet or your
4 friend's closet is going to be paramount to fixing
5 that problem.

6 It seems to me that what we're really trying
7 to address here is whether this drug will improve
8 the overall safety if it is obtained by people for
9 whom it's not prescribed, and it builds a little
10 bit on what Dr. Bateman was just saying and what
11 others have said as well.

12 In that category, though, I think there are
13 two important parts. One of them is the one that
14 we were just discussing. Could somebody who really
15 is an abuser and wants to go online and figure out
16 how to get the biggest bang for their buck, come up
17 with a way to improve the availability of the drug?

18 I would argue that that's going to occur
19 with every potentially abusable drug. If they
20 haven't figured it out yet, they probably will in
21 the future.

22 But the argument that was just made by

1 Dr. Bateman, which is that not being able to chew
2 it, does get at a subset of the population, and
3 these terrible deaths where a relatively
4 opiate-naïve person gets given an OxyContin or an
5 MS Contin pill and said, here, take this. And then
6 they chew it and get that very rapid
7 pharmacokinetic release of the medication, and then
8 suffer a substantial morbidity and often mortality.

9 My own view of it is that if we can prevent
10 even a few of those kinds of deaths, that we're
11 doing something reasonable. Does it mean that the
12 person wouldn't put it into a bottle of something
13 that might be a solvent that will, over the course
14 of an hour, extract all of that and then drink it
15 down? No, it probably can't prevent that in all
16 cases, but that's an act that takes time and effort
17 and intent.

18 My view of this is that the evidence
19 presented is that this is a drug that is
20 substantially safer than the standard MS Contin or
21 OxyContin in all of these categories, including
22 oral, with the understanding that perhaps the

1 language of the approval, or the language in the
2 label, might need to reflect some of those
3 differences.

4 DR. BROWN: Dr. Flick, and then I'd like to
5 summarize our discussions.

6 DR. FLICK: Well I was going to make almost
7 exactly the same comments as Dr. Farrar. I think
8 that we spent a lot of time talking about the
9 76 deaths that will occur today. Many of those
10 deaths are in a relatively opiate-naïve patient,
11 often young people, as Dr. Galinkin pointed out.

12 Those are the folks that we are most likely
13 to benefit with these kinds of formulations. The
14 determined abuser is determined, and we are not
15 likely to be able to deter them with this
16 formulation or any other. But it's those other
17 abusers, casual abusers, or first-time abusers, who
18 are likely to be sufficiently deterred by this to
19 the point that they may move to something that is
20 less likely to end their lives.

21 I completely agree with Dr. Farrar that the
22 sponsor has done, I think, a good job, and

1 Dr. de Wit has said the same thing, that the
2 sponsor I think has done a more than adequate job
3 of demonstrating that this formulation is likely to
4 achieve the desired outcome, and that's deterring
5 abuse, not in all, but in some or even most.

6 DR. BROWN: So as the former chair,
7 Dr. Flick just did almost most half of my work for
8 me, relating to coming together with a statement
9 about what I believe that the committee has said.

10 First, the committee I think agrees that the
11 drug was tested well in a collaboration with the
12 FDA. With that being said, some of the definitions
13 of success were based on incorrect analysis or
14 analysis against generic medications and not for
15 new drugs. I'm not certain that that was all-
16 important.

17 The implications for the use with alcohol
18 are important with this drug, as Dr. Galinkin said,
19 and the lack of this drug being interactive with a
20 patient that is taking alcohol is quite important.

21 Perhaps the most important part of the
22 analysis of this drug was the phase 1 studies

1 indicating the true hardness and the difficulty in
2 reducing particle size. The hardness was created
3 through the manufacturing process, and really the
4 sponsor gave us good information that seemed to
5 indicate that the particle size could not be small
6 enough for inhalation, that is smoking or snorting.

7 The small-volume extraction showed limited
8 removal of morphine for injection. Large-volume
9 extraction studies, however, are a little bit less
10 clear. They did reveal the possibility of removal
11 of relatively large amounts of morphine from the
12 manufactured entity.

13 The drug liking studies and the reduction in
14 likelihood of using the drug by the oral route was
15 quite unclear to many members of the group, and I
16 think people were all over the board about that.
17 I'm not certain that I can determine right now, in
18 my own mind, whether there was a difference in
19 these studies.

20 I also cannot determine whether the
21 difference in the laboratory analysis of this drug
22 and the phase 3 studies is clinically important.

1 My guess is that we will only know that after
2 epidemiologic studies if this drug is marketed.

3 So it appears that there's some thought that
4 the intranasal and intravenous abuse would be
5 substantially reduced. It's a little less clear
6 for oral use, although the use of the drug for
7 chewing seems almost impossible, according to the
8 data that the folks from Egalet presented to us.
9 The users can take more pills. There are again
10 problems with the phase 3 testing, which were more
11 specific to oral use of this drug.

12 To just follow-up and say once again, those
13 phase 3 studies were at some odds with certain of
14 the laboratory analysis.

15 Is there general agreement that that's what
16 has been discussed?

17 (No response.)

18 DR. BROWN: All right. Why don't we take
19 about a 10-minute break and come back at about
20 20 minutes until 4:00 and get on with our voting.

21 (Whereupon, at 3:27 p.m., a recess was
22 taken.)

1 DR. BROWN: The voting questions that we
2 have, if we could put the first question up. We
3 have discussed many of these already. The question
4 number 2 for vote of the committee, if approved
5 should Arymo ER be labeled as an abuse-deterrent
6 product by the oral route of abuse?

7 Are there any issues with the wording of the
8 question?

9 (No response.)

10 DR. BROWN: And are there any -- we're open
11 for questions and discussion.

12 (No response.)

13 DR. BROWN: If there are not any questions
14 or discussion, we're going to be voting
15 electronically. Please press the button on your
16 microphone that corresponds to your vote. You will
17 have approximately 20 seconds to vote. Please
18 press the button firmly. After you've made your
19 selection, the light may continue to flash.

20 If you're unsure of your vote or you wish to
21 change your vote, please press the corresponding
22 button again before the vote is closed.

1 (Vote taken.)

2 DR. BEGANSKY: The vote was 16 yes, 3 no,
3 zero abstain.

4 DR. BROWN: Everyone has voted. The vote is
5 now complete. Now that the vote is complete, we'll
6 go around the table and everyone who voted state
7 their name, vote, and if you want to you can state
8 the reason why you voted as you did into the
9 record.

10 I'm going to start with Warren, Dr. Bilker.

11 DR. BILKER: I voted yes. I thought that
12 there was sufficient evidence that Arymo is
13 reasonably expected to reduce the abuse rate via
14 the oral route.

15 DR. FLICK: Randall Flick. I voted yes.

16 DR. WESSELMANN: Ursula Wesselmann. I voted
17 yes for the same reason that Dr. Bilker stated.

18 DR. BATEMAN: Brian Bateman. I voted yes
19 because I think there's clear -- data suggests that
20 it will at least deter one of the most common forms
21 of oral abuse, that of chewing.

22 DR. CRAIG: Dave Craig. I voted yes. Just

1 looking at the data, it's not perfect, but I think
2 in a real world situation I think it would have
3 some impact. I think the evidence is enough for me
4 to say yes.

5 DR. GALINKIN: This is Jeff Galinkin. I
6 voted yes. I think it would decrease the incidence
7 based on chewing not causing an increased
8 concentration, and then also the oral dumping
9 phenomenon.

10 DR. GUPTA: Anita Gupta. I voted yes.

11 DR. EMALA: Charles Emala. I voted no for
12 the reasons I stated earlier. I think the drug's
13 rapidly extractable in an ingestible volume solvent
14 making it easy to abuse by the oral route. And I
15 think the Category 3 studies were unconvincing.

16 DR. BROWN: Rae Brown. I voted yes.

17 DR. GERHARD: Tobias Gerhard. I voted no.
18 This was a close decision for me. I see the
19 advantages of the product. I believe it should be
20 approved and will vote for that. I think the
21 benefit that it's not chewable is significant.
22 However, I think putting that claim of oral abuse

1 deterrents on the label might do a disservice
2 because I think there's significant potential that
3 it might lower the bar to prescribe a long-acting
4 opiate. And I think we have some issues with the
5 effectiveness of long-acting chronic opiate use.

6 Generally, the best way to reduce the number
7 of problems with opiate addiction is probably to
8 reduce the number of opiate prescriptions in the
9 first place, although recognizing obviously that
10 there's a great need for opiates and pain
11 treatment. Nonetheless, I think that the public
12 health might be better served if that claim isn't
13 on the label.

14 DR. FARRAR: John Farrar. I voted yes for
15 the reasons stated earlier, that the prevention of
16 chewing is an important contribution.

17 DR. NOVAK: This is Scott Novak. I voted
18 yes. I think that the sponsor did a really
19 exemplary job of presenting some very well thought
20 out studies. And I also think that the opiate
21 crisis is largely driven not by oral abuse, at
22 least by the crisis. I mean, the consequences,

1 overdoses, and deaths, I think they're directly
2 attributable to injection and tampering. I think
3 the product will go a long way toward that.

4 DR. FLOYD: James Floyd. I voted yes, but
5 it's a very qualified yes for the same reasons that
6 Dr. Gerhard voted a qualified no. I think the
7 language in the proposed label is too strong.

8 I think "expected to deter oral abuse"
9 should be replaced with, "has properties that may
10 reduce misuse or abuse from chewing." I think
11 "oral" also is too broad. I think it needs
12 to -- the label needs to represent actually the
13 evidence that we were presented today.

14 MR. O'BRIEN: Joe O'Brien, and I voted yes.
15 I believe both the chewing and the alcohol
16 reduction are very important, significant items for
17 future abuses, as well as current abuses. And I do
18 think that there has to be better definition in a
19 label clearly spelling out what the reasonable
20 expectation is.

21 DR. HIGGINS: Jennifer Higgins. I voted
22 yes.

1 DR. WALSH: Sharon Walsh. I voted yes,
2 although I was somewhat on the fence, and I
3 wouldn't use a 5-point reduction in the future as
4 the gold standard that we want to meet. But I
5 think that the totality of the evidence and the
6 delay in time to reach maximum effect, and the
7 point that Dr. Beardsley brought up earlier about
8 the comparison with chewing for the currently
9 available one, is pretty convincing.

10 DR. ARFKEN: I'm Cynthia Arfken. I voted
11 no, and it's because the category of oral abuse is
12 too broad for me. If it had been for chewing, I
13 would have voted yes.

14 DR. DE WIT: Harriet de Wit. I voted yes.

15 DR. BEARDSLEY: Patrick Beardsley. I voted
16 yes. I thought one vector of oral abuse would be
17 blunted by this compound.

18 DR. BROWN: We're going to move ahead to
19 question number 3, which will be a question for a
20 vote. If approved, should Arymo ER be labeled as
21 an abuse-deterrent product by the nasal route of
22 abuse? Are there any questions from the committee

1 about issues relating to the wording of the
2 question?

3 (No response.)

4 DR. BROWN: If there are not, are there
5 questions or comments concerning the substance of
6 the question?

7 (No response.)

8 DR. BROWN: If not, we will move ahead to
9 voting on this question. If approved, should Arymo
10 ER be labeled as an abuse-deterrent product by the
11 nasal route of abuse? Please press the button on
12 your microphone that corresponds to your vote.

13 (Vote taken.)

14 DR. BEGANSKY: The vote was 18 yes, 1 no,
15 zero abstain.

16 DR. BROWN: The vote is complete. We're
17 going to go around the table and have everyone who
18 voted state their name, vote, and if you want to
19 you can state the reason why you voted as you did
20 into the record again.

21 DR. BILKER: This is Warren Bilker. I voted
22 yes. I felt that there was strong evidence shown

1 that Arymo is expected to reduce the abuse rate via
2 the nasal route.

3 DR. FLICK: Randall Flick. I voted yes.

4 DR. WESSELMANN: Ursula Wesselmann. I voted
5 yes.

6 DR. BATEMAN: Brian Bateman. I voted yes on
7 the basis of the challenges of physically
8 manipulating the drug to create a form that's able
9 to be ingested nasally, as well as the human abuse
10 potential studies.

11 DR. CRAIG: Dave Craig. I voted yes.

12 DR. GALINKIN: Jeff Galinkin. I voted yes.

13 DR. GUPTA: Anita Gupta. I voted no,
14 primarily because of the large-volume studies. I
15 mean essentially a solution was created in large
16 volume that was greater than 60 percent in some
17 household solvents, at least from the data that I
18 saw. So I just wasn't comfortable stating that it
19 was okay for nasal use based on that.

20 DR. EMALA: Charles Emala. I voted yes.

21 DR. BROWN: Rae Brown. I voted yes.

22 DR. GERHARD: Tobias Gerhard. I voted yes.

1 DR. FARRAR: John Farrar. I voted yes.

2 DR. NOVAK: Scott Novak. Yes.

3 DR. FLOYD: James Floyd. Yes, but again
4 with suggested labeling change from "expected to
5 deter abuse" to has properties that may reduce
6 misuse and abuse intra-nasally or something like
7 that.

8 MR. O'BRIEN: Joe O'Brien. I voted yes.

9 DR. HIGGINS: Jennifer Higgins. I voted
10 yes.

11 DR. WALSH: Sharon Walsh. I voted yes.

12 DR. ARFKEN: Cynthia Arfken. I voted yes.

13 DR. DE WIT: Harriet de Wit. I voted yes.

14 DR. BEARDSLEY: Patrick Beardsley. I voted
15 yes.

16 DR. BROWN: We're going to move ahead to
17 question number 4, which is a voting question. If
18 approved, should Arymo ER be labeled as an
19 abuse-deterrent product by the intravenous route of
20 abuse?

21 First, are there any issues or questions
22 about the wording of this particular question?

1 (No response.)

2 DR. BROWN: If not, are there any questions
3 or comments concerning the substance of the
4 question?

5 (No response.)

6 DR. BROWN: If there are not, we'll move
7 ahead to a vote on this question. If approved,
8 should Arymo ER be labeled as an abuse-deterrent
9 product by the intravenous route of abuse?

10 Please press the button on your microphone
11 that corresponds to your vote.

12 (Vote taken.)

13 DR. BEGANSKY: The vote was 18 yes, 1 no,
14 zero abstain.

15 DR. BROWN: Maybe we can start at the other
16 end of the table this time.

17 DR. BEARDSLEY: Patrick Beardsley. I voted
18 yes.

19 DR. DE WIT: Harriet de Wit. I voted yes.

20 DR. ARFKEN: Cynthia Arfken. I voted yes.

21 DR. WALSH: Sharon Walsh. I voted yes.

22 DR. HIGGINS: Jennifer Higgins. I voted

1 yes.

2 MR. O'BRIEN: Joe O'Brien. I voted yes.

3 DR. FLOYD: James Floyd. I voted yes, again
4 with a suggested label change. Suggested remove
5 expected to deter intravenous abuse and replace
6 that with has properties that may reduce misuse and
7 abuse from intravenous use.

8 DR. NOVAK: Scott Novak. Voted yes.

9 DR. FARRAR: John Farrar. Voted yes.

10 DR. GERHARD: Tobias Gerhard. I voted yes.

11 DR. BROWN: Rae Brown. I voted yes.

12 DR. EMALA: Charles Emala. I voted yes.

13 DR. GUPTA: Anita Gupta. I voted no for the
14 same reasons as stated before.

15 DR. GALINKIN: Jeff Galinkin. I voted yes.

16 DR. CRAIG: Dave Craig. I voted yes.

17 DR. BATEMAN: Brian Bateman. I voted yes.

18 DR. WESSELMANN: Ursula Wesselmann. I voted
19 yes.

20 DR. FLICK: Randall Flick. I voted yes.

21 And I think Dr. Floyd's suggestions are good ones.

22 DR. BILKER: Warren Bilker. I voted yes.

1 DR. BROWN: Let's move ahead to question
2 number 5, and this is a voting question. Should
3 Arymo ER be approved for the proposed indication,
4 management of pain severe enough to require daily,
5 around-the-clock, long-term opioid treatment, and
6 for which alternative treatment options are
7 inadequate?

8 Are there any issues or questions about the
9 wording of this question?

10 (No response.)

11 DR. BROWN: Are there any questions or
12 comments concerning the substance of this question?
13 Yes?

14 DR. DE WIT: I have one question. We
15 haven't spoken at all about postmarketing
16 surveillance. Is that part of the approval process
17 or is that something separate?

18 DR. HERTZ: Yes, and thank you for that
19 question. We will be asking for postmarketing
20 studies. There are 11. The first 10 are a variety
21 of studies, epidemiologic work. Wait, wait.

22 (Dr. Staffa nods in the affirmative.)

1 DR. HERTZ: Sorry. That's true, but not
2 relevant. There's another set of - there are going
3 to be several sets of postmarketing requirements.
4 There will be postmarketing requirements to study
5 the abuse-deterrent effects, and that's four, three
6 or four studies.

7 Then this product would also be part of the
8 extended release long-acting opioid analgesic REMS.
9 That group has 11 postmarketing requirements to
10 study a variety of safety issues associated with
11 opioids. So sorry. That was where the 11 came
12 from.

13 DR. DE WIT: So if we're voting for approval
14 for the proposed indication, that's taking into
15 account some postmarketing data that they're going
16 to collect and some vigilance by the FDA, and we
17 don't need to review that?

18 DR. HERTZ: We have done a fair bit of work
19 on that, so we're not asking to reopen it again.
20 After the meeting, we can certainly share them with
21 you if you want to comment on them, send any of
22 your thoughts to us. They're in the backgrounder.

1 Okay. So if you want to provide any additional
2 comments on that, you're welcome to.

3 DR. BROWN: But being subject to the opioid
4 REMS includes postmarketing -- very robust
5 postmarketing studies for each of these drugs, is
6 my understanding. Is that true?

7 DR. STAFFA: Right. This is Judy Staffa.
8 As Sharon mentioned, there is product-specific
9 postmarketing required studies, which are specific
10 to evaluating during this postmarketing phase of
11 the abuse-deterrent formulation evaluation. So we
12 have guidance that we provide the companies, and
13 then they send in their protocols, and they don't
14 proceed with the studies until we approve them. So
15 there's negotiations and iteration that goes on
16 with that.

17 In addition, there are class-wide studies to
18 answer broader questions about the safety in
19 general that are not specific to any product, but
20 they're about ER/LA opioids in general, and they
21 will be also subject to those. And the companies
22 have come together in a consortium to work on those

1 studies as a group, and it's the same group that
2 also then administers the ER/LA REMS, which is the
3 prescriber education programs.

4 DR. BROWN: Are there any other comments or
5 questions concerning this particular voting
6 question? Yes, Sir?

7 DR. BEARDSLEY: I just want confirmation.
8 This is the exact language that MS Contin would be
9 approved for?

10 DR. HERTZ: Do you mean the indication?

11 DR. BEARDSLEY: Yes.

12 DR. HERTZ: Yes, the same indication. It's
13 a standard indication across the ER/LA opioids,
14 including MS Contin.

15 DR. BEARDSLEY: So basically the same
16 language.

17 DR. BROWN: Any other questions or comments?

18 (No response.)

19 DR. BROWN: If not, we're going to move
20 ahead with a vote on question 5. Should Arymo ER
21 be approved for the proposed indication, management
22 of pain severe enough to require daily,

1 around-the-clock, long-term opioid treatment, and
2 for which alternative treatment options are
3 inadequate?

4 Please press the button on your microphone
5 that corresponds to your vote.

6 DR. BEGANSKY: The vote was 18 yes, 1 no,
7 zero abstain.

8 DR. BROWN: The vote is complete. We're now
9 going to start with Dr. Bilker again and go around
10 the table.

11 DR. BILKER: Warren Bilker. I voted yes.

12 DR. FLICK: Randall Flick. I voted yes,
13 although somewhat reluctantly. At some point the
14 agency and the committee is going to have to
15 address the question of whether these drugs have an
16 indication at all. And unfortunately, we're
17 probably in a position that the lesser of two evils
18 is to approve this drug.

19 DR. WESSELMANN: Ursula Wesselmann. I voted
20 yes.

21 DR. BRIAN BATEMAN: Brian Bateman. I voted
22 yes.

1 DR. CRAIG: Dave Craig. I voted yes.

2 DR. GALINKIN: Jeff Galinkin. I voted yes.

3 DR. GUPTA: Dr. Anita Gupta. I voted no. I
4 was on the fence. The question about long-term
5 opioid efficacy remains to be answered, and so it
6 was a little bit difficult for me to answer that
7 question. But in addition, I do see that there is
8 a definite need for innovation and advances, and I
9 am happy to see the advances that the sponsor put
10 forward.

11 But I did feel that there was more
12 information that was necessary on the large
13 solvents specifically. I wasn't comfortable with
14 the greater than 60 percent release of the drug,
15 and potential for abuse there. But again, I was on
16 the fence for the decision.

17 DR. EMALA: Charles Emala. I voted yes.

18 DR. BROWN: Rae Brown. I voted yes. Since
19 all the voting is over, I'm going to take this
20 opportunity to make a statement about my thoughts
21 relating to this drug.

22 There are six million scripts a year for

1 long-acting morphine, and right now we're not doing
2 a very good job of reducing that number. There are
3 a limited number of ways to intervene in the
4 current crisis of which it appears that long-acting
5 morphine is playing quite a role.

6 I think that the replacement of long-acting
7 morphine with this drug, or other drugs like it,
8 will be a step forward. I agree with Dr. Flick
9 that we can't ask for perfection when we're just
10 trying to drive for good.

11 I'd also like to say that the group from
12 Egalet has done an excellent job in presenting
13 their product, and I really appreciate their doing
14 that.

15 DR. GERHARD: Tobias Gerhard. I voted yes.
16 I wholeheartedly second Dr. Flick's comments, which
17 were exactly what I wanted to say but probably
18 wouldn't have been able to say as eloquently
19 anyway. So thank you very much, and I completely
20 agree.

21 One comment that I want to make also since
22 we've commented a little bit on language in the

1 label. I think particularly in the context of
2 abuse-deterrent labeling, it's important I think to
3 have some language in the label that points out
4 that there is a risk of addiction to opioids
5 without active abuse, that that doesn't get kind of
6 lost or becomes unclear to patients.

7 DR. HERTZ: We have that in the box.

8 DR. GERHARD: Great.

9 DR. HERTZ: So we'll look for it if you want
10 to see it, but we do have it.

11 DR. FARRAR: This is John Farrar. I voted
12 yes. I wanted to take the opportunity, as somebody
13 who practices primarily palliative care, that
14 there's absolutely no question about the benefit of
15 long-term use of opioids in certain populations,
16 and the need for them in those populations.

17 That being said, I cannot agree more with
18 the concept of the need for studies in
19 non-chronic -- in non-palliative opioid use longer
20 term where the potential for side effects and other
21 things can be substantially worse.

22 DR. NOVAK: This is Scott Novak, and I voted

1 yes. I'd also like to remind the sponsor that
2 their fiduciary responsibility to public health
3 doesn't necessarily end here but it rather begins.
4 I hope that they will remain vigilant and continue
5 to seek the wisdom of outside counsel and experts
6 who know a lot about the area to sort of guide you
7 along the way in your product release.

8 DR. FLOYD: James Floyd. I voted yes,
9 reluctantly. I strongly agree with Dr. Flick's
10 comment. And I voted yes only because this is a
11 bioequivalent and the other drug has an indication
12 already.

13 MR. O'BRIEN: Joe O'Brien. I voted yes. I
14 certainly agree with Dr. Flick and others' comments
15 about no indication. But on the other hand, being
16 a patient myself who has required, and who
17 represents and knows many patients who need it at
18 the time, that's all we have at the moment. It's
19 the best what we have. We welcome other options
20 that are equivalent to that. But when you need it,
21 you need it.

22 From my perspective, the yes is saying that

1 this is a product that's not -- and being sensitive
2 to what was said in one of the public speakers,
3 that I don't see this as adding to. I think it's
4 replacing what we currently have and making
5 something better than what we currently have, and I
6 think that's a good thing.

7 DR. HIGGINS: Jennifer Higgins. I voted
8 yes. I have to say that I was really swayed a lot
9 by what the FDA had presented regarding the most
10 frequently prescribed ER/LA being morphine. I
11 really feel like we need increased patient access
12 to safer medications, and I am hopeful that this is
13 one method of doing that.

14 DR. WALSH: I'm Sharon Walsh, and I voted
15 yes.

16 DR. ARFKEN: Cynthia Arfken, and I voted
17 yes.

18 DR. DE WIT: Harriet de Wit. I voted yes.

19 DR. BEARDSLEY: Patrick Beardsley, and I
20 voted yes.

21 DR. BROWN: Before we adjourn, can we just
22 take a 15-minute break? That was a joke, okay?

1 (Laughter.)

2 DR. BROWN: Before we adjourn, are there any
3 last comments from the FDA?

4 DR. HERTZ: I know I've been thanking you a
5 lot today, but I feel like we need to because of
6 the frequency that we're calling upon you and the
7 often surprising help and advice that you've
8 delivered. It's nice not to be able to predict an
9 outcome. It just shows how helpful in fact these
10 meetings can be, and safe travels home for you.

11 **Adjournment**

12 DR. BROWN: Panel members, please take all
13 your personal belongings with you as the room is
14 cleaned at the end of the day. All materials left
15 on the table will be disposed of. Please also
16 remember to drop off your name badge.

17 We will now adjourn the meeting. Thank you
18 very much.

19 (Whereupon, at 4:05 p.m., the meeting was
20 adjourned.)

21

22