

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

PHARMACY COMPOUNDING ADVISORY COMMITTEE (PCAC)

Afternoon Session

Thursday, November 3, 2016

1:01 p.m. to 3:49 p.m.

FDA White Oak Campus
10903 New Hampshire Avenue
Building 31 Conference Center
The Great Room (Rm. 1503)
Silver Spring, Maryland

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Cindy Hong, PharmD**

4 Division of Advisory Committee and Consultant Management

5 Office of Executive Programs, CDER, FDA

6

7 **PHARMACY COMPOUNDING ADVISORY COMMITTEE MEMBERS (Voting)**

8 **Michael A. Carome, MD, FASHP**

9 *(Consumer Representative)*

10 Director of Health Research Group

11 Public Citizen

12 Washington, District of Columbia

13

14 **Gigi S. Davidson, BSPH, DICVP**

15 *(U.S. Pharmacopeial Convention Representative)*

16 Director of Clinical Pharmacy Services

17 North Carolina State University

18 College of Veterinary Medicine

19 Raleigh, North Carolina

20

21

22

1 **John J. DiGiovanna, MD**
2 Senior Research Physician
3 DNA Repair Section
4 Dermatology Branch
5 Center for Cancer Research
6 National Cancer Institute
7 Bethesda, Maryland

8
9 **Padma Gulur, MD**
10 *(Acting Chairperson)*
11 Vice Chair, Operations and Performance
12 Duke University School of Medicine
13 Department of Anesthesiology
14 Durham, North Carolina

15
16 **Stephen W. Hoag, PhD**
17 Professor
18 Department of Pharmaceutical Science
19 University of Maryland, Baltimore
20 Baltimore, Maryland

21
22

1 **Katherine Pham, PharmD, BCPS**

2 Senior Officer

3 Drug Safety Project

4 The Pew Charitable Trusts

5 Washington, District of Columbia

6

7 **Allen J. Vaida, BSc, PharmD, FASHP**

8 Executive Vice President

9 Institute for Safe Medication Practices

10 Horsham, Pennsylvania

11

12 **Donna Wall, PharmD**

13 *(National Association of Boards of Pharmacy*

14 *Representative)*

15 Clinical Pharmacist

16 Indiana University Hospital

17 Indianapolis, Indiana

18

19

20

21

22

1 **PHARMACY COMPOUNDING ADVISORY COMMITTEE MEMBERS**

2 **(Non-Voting)**

3 **Ned S. Braunstein, MD**

4 *(Industry Representative)*

5 Senior Vice President and Head of Regulatory

6 Affairs

7 Regeneron Pharmaceuticals, Inc.

8 Tarrytown, New York

9
10 **William Mixon, RPh, MS, FIACP**

11 *(Industry Representative)*

12 Former Owner

13 The Compounding Pharmacy

14 Hickory, North Carolina

15

16

17

18

19

20

21

22

1 **TEMPORARY MEMBERS (Voting)**

2 **Antonio Fojo, MD, PhD**

3 *(Participation in diindolylmethane discussion via*
4 *telephone)*

5 Professor of Medicine

6 Director, Neuroendocrine Centers

7 Columbia University Medical Center

8 Co-Director, James J. Peters Veterans

9 Affairs/Columbia University Cancer Center

10 New York, New York

11

12

13

14

15

16

17

18

19

20

21

22

	C O N T E N T S		
	AGENDA ITEM		PAGE
1			
2			
3	503A Bulk Drug Substances List		
4	FDA Presentation		
5	Diindolylmethane		
6	Michael Brave, MD		9
7	Nominator Presentation		
8	A.J. Day, PharmD		21
9	Clarifying Questions from the Committee		25
10	Committee Discussion and Vote		27
11	503A Bulk Drug Substances List		
12	FDA Presentation		
13	Vasoactive Intestinal Peptide		
14	Susan Johnson, PharmD, PhD		34
15	Clarifying Questions from the Committee		44
16	Nominator Presentation		
17	Ritchie Shoemaker, MD		45
18	Clarifying Questions from the Committee		55
19			
20			
21			
22			

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	Committee Discussion and Vote	65
4	Conflict of Interest Statement	
5	Cindy Hong, PharmD	74
6	Demonstrably Difficult to Compound	
7	Drug Products That Employ	
8	Transdermal or Topical Delivery	
9	Systems Presentations	
10	Cindy Strasinger, PhD	79
11	Clarifying Questions from the Committee	112
12	Open Public Hearing	117
13	Committee Discussion and Vote	130
14	Adjournment	147
15		
16		
17		
18		
19		
20		
21		
22		

1 P R O C E E D I N G S

2 1:01 p.m.

3 **Call to Order**

4 **Introduction of Committee**

5 DR. GULUR: Thank you, everyone, for
6 reconvening. Before we begin, I will introduce
7 one voting, regular government employee who
8 will be in a specific portion of this
9 afternoon's topic. He is Dr. Antonio Fojo from
10 James J. Peters Veterans Affairs, Columbia
11 University Cancer Center. He will participate
12 only in the diindolylmethane topic.

13 We will now proceed with the FDA
14 presentation by Dr. Michael Brave.

15 **FDA Presentation - Michael Brave**

16 DR. BRAVE: Good afternoon. I'm
17 Dr. Brave. I'm a medical officer in the
18 Division of Oncology Products I, the Office of
19 Hematology and Oncology Products. I'd like to
20 thank my colleagues listed here for helping me
21 review this nomination for diindolylmethane.

22 Diindolylmethane, abbreviated DIM, has

1 been nominated for the list of substances that
2 can be compounded. The proposed use is "for
3 the treatment of cancer." We are uncertain
4 whether this would mean in combination with
5 other chemotherapeutic agents. The proposed
6 route of administration is by mouth. The
7 references submitted with this nomination
8 include only non-clinical information, not
9 clinical safety or efficacy data.

10 DIM is an active metabolite of
11 indole-3-carbinol, abbreviated I3C. This I3C
12 is found in cruciferous vegetables.
13 Epidemiological studies suggest that persons
14 who regularly eat cruciferous vegetables have
15 lower risks of some cancers. DIM is marketed
16 as a dietary ingredient in dietary supplements.
17 It is available as capsules and tablets in
18 strengths ranging from 100 milligrams to
19 300 milligrams and is also sold as powder.

20 DIM is a small organic molecule. I3C is
21 a precursor form of DIM. In the acidic
22 environment of the stomach, I3C dimerizes to

1 the biologically active and stable DIM and its
2 associated oligomers, collectively referred to
3 as acid condensation products. On average,
4 100 grams of cruciferous vegetables containing
5 I3C is estimated to convert to approximately
6 2 milligrams of DIM.

7 DIM can be synthesized from the
8 condensation of indole with formaldehyde and is
9 easily characterized using standard analytical
10 spectroscopy. Potential impurities of
11 synthetic DIM include residual starting
12 materials such as indole and formaldehyde. The
13 latter is toxic.

14 Diindolylmethane is highly insoluble in
15 water but is stable as a solid when kept away
16 from light at 4 degrees centigrade. These
17 conditions are likely to impact the storage
18 requirements for a compounded drug product.
19 Based on available information, there are no
20 major concerns about the physical or chemical
21 characterization of DIM. It is a small organic
22 molecule that is likely to be stable as a solid

1 under ordinary storage conditions when kept
2 away from light.

3 In non-clinical studies, DIM has been
4 reported to modulate cell-cycle progression.
5 Several potential cancer-preventive properties
6 have been associated with DIM, including
7 cell-cycle arrest, induction of apoptosis, and
8 modulation of estrogen metabolism. However,
9 one group of investigators reported that
10 concentrations of DIM achievable through diet
11 exerted an unexpected proliferative effect on
12 breast cancer cells.

13 The FDA review team found little animal
14 toxicology data and no published information on
15 repeat-dose toxicology studies conducted under
16 good laboratory practices. In a non-GLP study
17 in rats, DIM induced hepatic metabolizing
18 enzymes, which signals a potential for effects
19 on drug metabolism.

20 In neonatal mice, administration of
21 20 milligrams per kilogram of DIM once daily
22 for 3 days resulted in atrophy of white pulp in

1 the spleen. In adult mice, DIM increased serum
2 cytokines, suggesting a potential for an effect
3 on the immune system. No information was found
4 regarding mutagenicity development or
5 reproductive toxicity, carcinogenicity, or
6 toxicokinetics.

7 In summary, based on available data in
8 public databases, the toxicology data that we
9 reviewed indicate a potential safety concern.
10 Both the potential safety concerns and the
11 overall limited amount of available data raise
12 concerns about use of DIM in compounding under
13 Section 503A of the Food, Drug, and Cosmetic
14 Act.

15 Most of the side effects of DIM reported
16 to date have been limited to minor
17 gastrointestinal symptoms, however, one group
18 reported that concentrations of DIM achievable
19 through diet exerted an unexpected
20 proliferative effect on breast cancer cells.

21 In addition, a case of central serious
22 retinopathy was reported in an otherwise

1 healthy female who presented with headaches and
2 blurry vision after 2 months of, quote,
3 "excessive dietary consumption of DIM."

4 Visual improvement began 2 weeks after
5 discontinuation of DIM and resolved to baseline
6 after 8 weeks. Safety issues that have arisen
7 in clinical trials will be discussed in
8 subsequent slides, together with the efficacy
9 outcomes for these trials.

10 The FDA Office of Surveillance and
11 Epidemiology conducted a search of the FDA
12 adverse events reporting system database for
13 reports of adverse events. This search yielded
14 two cases of altered mental status with DIM
15 use. The Office of Surveillance and
16 Epidemiology concluded that it could not assess
17 a drug event causal relationship because the
18 number of FAERS cases was limited, had
19 insufficient data quality, and the presence of
20 confounding medications were also noted.

21 The FDA Center for Food Safety and
22 Applied Nutrition conducted a search of its

1 database for adverse events associated with DIM
2 and found 18 reports related to its use as a
3 dietary supplement. Five reports were received
4 of hepatotoxicity. These were hepatitis
5 hepatocellular injury and liver function test
6 abnormality. There were 3 reports of abdominal
7 pain and 2 reports of loss of consciousness.

8 Then next four slides summarize
9 published reports of clinical experience with
10 I3C or DIM in humans. We found reports of one
11 or both of these compounds having been studied
12 in healthy volunteers in women with abnormal
13 cervical cytology, in women at risk for breast
14 cancer, and in men with prostate disease.

15 To achieve clinically relevant exposures
16 of DIM, it has been suggested that intake would
17 need to be upwards of 600 grams per day
18 sustained for several years. Therefore, most
19 published clinical trials have used the
20 bioresponse formulation of DIM, a dietary
21 supplement containing microencapsulated DIM,
22 which compared with crystalline DIM is

1 purported to have higher bioavailability.

2 This slide summarizes two small clinical
3 trials of I3C and bioresponse's formulation of
4 DIM in healthy human volunteers. Following
5 administration of I3C to humans, only DIM, and
6 not the I3C, was detectable in the blood
7 stream. Following single oral doses of the
8 bioresponse formulation of DIM, DIM was
9 detectable in plasma. GI distress was dose
10 limiting in both studies.

11 Three groups have conducted clinical
12 trials designed to evaluate whether I3C and/or
13 DIM improved abnormal cervical cytology in
14 women. The small trial by Bell reported that
15 none of 10 patients in the placebo group had
16 complete remission of CIN. However, 4 of 8
17 patients receiving I3C at 200 milligrams daily
18 and 4 of 9 patients receiving I3C at
19 400 milligrams daily had complete regression on
20 their 12-week biopsy. While this appears to
21 suggest a potential benefit from I3C, we note
22 that CIN regression is common in untreated

1 patients.

2 The number of patients included in this
3 trial was small, and long-term follow-up was
4 not provided. In larger trials by Del Priore
5 and Castanon using the bioresponse formulation
6 of DIM, no effect on cervical cytology was
7 demonstrated.

8 Three pilot studies have evaluated the
9 bioresponse formulation of DIM in women at
10 increased risk of breast cancer. No safety
11 concerns were identified in these trials,
12 although it is not clear whether adverse events
13 were systematically collected.

14 The efficacy endpoints of these pilot
15 studies were genetic or metabolic biomarkers
16 thought to be associated with increased risk of
17 breast cancer such as urinary excretion of
18 estrogen metabolites and transcription of genes
19 implicated in the development of breast cancer.
20 No clinical study has reported an effect of DIM
21 on reducing breast cancer events.

22 Four pilot studies have evaluated DIM in

1 men with prostate interstitial neoplasia or
2 early stage prostate cancer. Each reported an
3 effect of DIM on biomarkers thought to be
4 associated with an increased risk of prostate
5 cancer. We found no clinical study that
6 reported an effect of DIM on reducing prostate
7 cancer. The safety of DIM has not been
8 rigorously studied. Non-clinical findings
9 suggest a potential for adverse events on the
10 immune system and on hepatic enzymes of drug
11 metabolism. No serious toxicity has been
12 reported clinically.

13 Non-clinical data suggest that DIM has
14 biological effects which could support a
15 rationale for its development as a
16 chemo-preventive agent or as an adjunct to
17 chemotherapy. Results of some exploratory
18 published clinical trials report that DIM has
19 effects on biomarkers thought to potentially
20 correlate with a reduced incidence of cancer.
21 However, we found no published clinical trial
22 that has reported objective tumor responses or

1 an effect on long-term clinical outcomes. Many
2 approved therapies are available for the
3 treatment of cancer and have well-characterized
4 safety and efficacy profiles.

5 We found insufficient information to
6 determine how long DIM has been used in
7 pharmacy compounding. Currently, oral
8 compounded formulations of DIM are promoted on
9 the internet as, quote, "natural health
10 supplements." A search of the British
11 pharmacopeia, the European Pharmacopeia, and
12 the Japanese pharmacopeia did not show any
13 listings for DIM.

14 In summary, DIM is chemically well
15 characterized and expected to be stable as a
16 solid if kept at temperatures below 4 degrees
17 centigrade. The safety of DIM has not been
18 rigorously studied.

19 Non-clinical findings suggest the
20 potential for adverse events on the immune
21 system and on hepatic enzymes of drug
22 metabolism, however, no serious toxicity has

1 been reported clinically.

2 Although non-clinical data suggests that
3 DIM has biological effects which could support
4 a rationale for its development as a
5 chemo-preventive agent or as an adjunct to
6 chemotherapy, no clinical trial has to our
7 knowledge ever been conducted with an objective
8 to determine clinical anti-cancer activity.
9 And overall, there is insufficient information
10 to evaluate the historical use of DIM in
11 pharmacy compounding. DIM appears to be
12 compounded currently and is promoted as a,
13 quote, "natural health supplement." Thank you.

14 DR. GULUR: We will take any clarifying
15 questions for our presenter from the committee.

16 (No response.)

17 DR. GULUR: I guess not. Thank you very
18 much.

19 DR. BRAVE: Thank you.

20 DR. GULUR: We will now proceed with the
21 nominator presentations. We have one
22 presentation by Dr. Day.

1 **Nominator Presentation - A.J. Day.**

2 DR. DAY: Good afternoon. My name is
3 A.J. Day. I'm with PCCA. I'm also a member of
4 IACP. As a conflict of interest, PCCA does
5 provide diindolylmethane powder for use in
6 compounding. I wanted to start off with just a
7 brief review of some of the comments from
8 Dr. Brave as laid out in the FDA briefing
9 information.

10 Physical and chemical characterization,
11 it's well characterized, stability is not a
12 concern, and human safety data does not seem to
13 be a primary concern either. The primary
14 concern had to do with efficacy for the use of
15 various types of cancer. I agree there are
16 very limited -- to be kind -- clinical trials
17 on the use of diindolylmethane for the
18 treatment of cancer.

19 Practically speaking, we're not using in
20 the compounding world diindolylmethane for the
21 treatment of cancer. I understand the reason
22 why that was included in the nomination,

1 period, and that is, the nomination asks for
2 potential uses. And as you go through clinical
3 review of literature through PubMed,
4 clinicaltrials.gov, and other resources, all of
5 the clinical trials focus on the treatments of
6 various types of cancer.

7 There is quite a bit of in vitro data.
8 There's a lot of material that indicates
9 potential benefits, but in terms of human
10 clinical trials for different types of cancers,
11 that's really not where the compounded
12 community is utilizing DIM historically.
13 Really, the purpose of utilizing DIM in
14 compounding has been for modulation of estrogen
15 metabolism. There's not good clinical evidence
16 for this in the literature, and that's why that
17 was not included because you need supporting
18 data with that nomination, and it just didn't
19 exist in a reputable format.

20 So when estrogen, whether it's estradiol
21 or another form of estrogen, is ingested or
22 it's absorbed into the human body, it's

1 metabolized to estrone. And then estrone is
2 further metabolized through a hydroxylation
3 process. The primary metabolites are 2, 4, or
4 6 hydroxy estrones. The two hydroxy estrones
5 are considered to be the, quote, "safer"
6 metabolites. They tend to be less
7 carcinogenic, based on in vitro studies, than
8 the 4 or 16 hydroxy metabolites.

9 As was mentioned, the source of
10 diindolylmethane, it is a bioconverted form of
11 I3C, which is found in cruciferous vegetables.
12 So there are a number of different dietary
13 sources for indole-3-carbinol, which does get
14 bioconverted to DIM, such as flax, lignans,
15 kudzu, a little bit from soy, as well as from
16 other cruciferous vegetables such as broccoli.

17 I wanted to make sure that the committee
18 and FDA is aware that in the compounding
19 community, I've never come across -- and I've
20 consulted with our colleagues -- any indication
21 that diindolylmethane was being prescribed or
22 dispensed for the treatment of specific types

1 of cancers. It may be used to shift
2 metabolites of estrogens away from the
3 supposedly more carcinogenic metabolites in
4 patients who have a family history or personal
5 history of different types of cancer, and they
6 are receiving hormone therapy.

7 Typically, the dosing that has been used
8 is 200 milligrams once a day. That's the most
9 common dose that's prescribed. That is a dose
10 that is available in dietary supplements
11 throughout the country. Sometimes that's
12 100 milligrams, sometimes as low as
13 25 milligrams. But those are the ways that
14 I've typically seen it utilized in compounding.

15 So then the question is why is it being
16 compounded if it's available as a dietary
17 supplement? A lot of that comes to some of the
18 conversations that we had with the dermatologic
19 requests from this morning, which has to do
20 with knowing what's in the preparation, what's
21 your patient really getting.

22 This is an example of our certificate of

1 analysis. You can see the chromatographic
2 purity on that, 99.7 percent. You can see the
3 analysis for loss on drying for a variety of
4 other components that we screen our materials
5 for so that we can have a degree of certainty
6 of what the patient is actually receiving as
7 opposed to buying a dietary supplement that has
8 various fillers, dyes, or other ingredients
9 that the patient or physician may not be aware
10 of. So this is really where the utility of
11 having diindolylmethane compounded comes into
12 play. Thank you very much.

13 **Clarifying Questions from the Committee**

14 DR. GULUR: Questions for our presenter
15 from the committee?

16 (No response.)

17 DR. GULUR: Thank you, Dr. Day. Oh, you
18 do? Ms. Davidson?

19 MS. DAVIDSON: A.J., are there any other
20 alternatives that will push the metabolism of
21 estrogen to the non-toxic or less toxic
22 metabolites that you're aware of?

1 DR. DAY: I'm not an expert in the
2 metabolic by-products and pathways for the
3 estrogens. The ones that I'm most familiar
4 with I3C and DIM.

5 DR. GULUR: Dr. Brave, did you want to
6 comment on that? All right.

7 Dr. Wall?

8 DR. WALL: A.J., do you receive most of
9 the requests for this from patients walking in,
10 and who have read about it and want a dietary
11 supplement, or are these prescriptions from
12 who?

13 DR. DAY: Typically, the prescriptions
14 come from endocrinologists or general
15 practitioners who tend to focus a little bit in
16 hormone replacement therapy, perimenopausal
17 therapy for women, and it is as a prescription.

18 DR. GULUR: Please?

19 DR. DAY: How do they know it's
20 effective?

21 DR. DAY: I don't have the data on that.
22 I think we would have to ask the physicians'

1 perspective.

2 DR. GULUR: Any other questions?

3 (No response.)

4 DR. GULUR: Thank you, Dr. Day.

5 DR. DAY: Thank you.

6 **Committee Discussion and Vote**

7 DR. GULUR: Since the agency did not
8 receive registrants for the fourth open public
9 hearing session, we will move on to the
10 committee discussion and voting. We will now
11 begin the panel discussion. Any comments from
12 the committee?

13 (No response.)

14 DR. GULUR: In that
15 case -- Ms. Davidson?

16 MS. DAVIDSON: Just a comment to answer
17 the question that was just asked of A.J. It
18 does look like in at least four of the studies
19 that were presented by FDA, that there is
20 increased urinary excretion of the 2-hydroxy
21 metabolite -- 16-hydroxy metabolite. So there
22 does appear to be some evidence that it does

1 increase the elimination of these metabolites
2 of estrogen. There was one where there were no
3 observed effect on either of these metabolites,
4 but I just wanted to make that comment.

5 DR. GULUR: Any other comments?

6 (No response.)

7 DR. GULUR: All right. We'll proceed to
8 the vote. FDA's proposing that
9 diindolylmethane not be included on the 503A
10 bulk list. Should diindolylmethane be placed
11 on the list? And again to reiterate, if you
12 vote no, you are recommending FDA not place the
13 bulk drug substance on the 503A bulks list.

14 If the substance is not on the list when
15 the final rule is promulgated, compounders may
16 not use the drug for compounding under Section
17 503A unless it becomes a subject of an
18 applicable USP or NF monograph, or a component
19 of an FDA-approved drug.

20 If there is no further discussion, we
21 will now begin the voting process. Please
22 press the button firmly on your microphone that

1 corresponds to your vote. You will have
2 approximately 15 seconds to vote. After you
3 have made your selection, the light will
4 continue to flash. If you are unsure of your
5 vote, please press the corresponding button
6 again.

7 Dr. Fojo apparently might actually be on
8 the phone. If you are on the phone, would you
9 please introduce yourself?

10 (No audible response.)

11 DR. GULUR: Apparently we're not able to
12 get the connection. So we will continue with
13 the vote. I'll read the question one more
14 time.

15 FDA is proposing that diindolylmethane
16 not be included on the 503A bulk list. Should
17 diindolylmethane be placed on the list?

18 Do any of the committee members require
19 me to repeat the instructions on the vote
20 again?

21 (No response.)

22 DR. GULUR: In that case, please

1 proceed.

2 (Pause.)

3 DR. GULUR: We're waiting for Dr. Fojo's
4 vote.

5 DR. FOJO: Yes. This is Tito Fojo.
6 This is Dr. Fojo. And now I can hear myself.
7 I'm sorry. I couldn't get through, but I've
8 been listening to the whole presentation
9 online, and I've submitted my vote.

10 Do you want me to say --

11 DR. GULUR: Did you have any comments,
12 Dr. Fojo?

13 DR. FOJO: I sent in also a comment, and
14 it had to do with the fact that there was
15 clearly no evidence of -- no credible evidence
16 it had had activity as an anti-cancer agent. I
17 understood that there was a -- shall we say
18 pull-back from that as it was being discussed.
19 It was stated that that was not its purpose,
20 although it was concerning that there was some
21 promotion of it for that purpose, and that
22 should obviously not be the case.

1 DR. GULUR: Thank you, Dr. Fojo.

2 DR. FOJO: That's all that I have to
3 say.

4 DR. GULUR: Because the third time is a
5 charm, I'm going to repeat this question.

6 (Laughter.)

7 DR. GULUR: FDA is proposing that
8 diindolylmethane not be included on the 503A
9 bulk list. Should diindolylmethane be placed
10 on the list? Please vote now.

11 (Vote taken.)

12 DR. HONG: We have 1 yes, 8 nos, and
13 zero abstain.

14 DR. GULUR: Thank you. We're going to
15 start with the comments. Is Dr. Fojo still on
16 the phone, and would he like to comment on his
17 vote?

18 (No response.)

19 DR. GULUR: No. So we will start with
20 Dr. Vaida in that case.

21 DR. VAIDA: Allen Vaida. I voted no for
22 the reasons that FDA gave in their

1 recommendations.

2 DR. PHAM: Katherine Pham. I also voted
3 no. I didn't see a clear benefit in efficacy
4 to offset the potential risk of drug-drug
5 interactions.

6 DR. WALL: Donna Wall. I voted no for
7 the reasons previously said.

8 DR. CAROME: Mike Carome. I voted no
9 for the same reasons as stated.

10 DR. HOAG: Steve Hoag. I voted no for
11 the reasons said. And perhaps in the future,
12 if more evidence becomes available, maybe we
13 would reconsider this, but for now it's not
14 there.

15 DR. DiGIOVANNA: John DiGiovanna. I
16 voted no for the reasons mentioned.

17 MS. DAVIDSON: Gigi Davidson. I voted
18 yes, although I was again prepared to come in
19 and vote no on this. I was not aware of the
20 indication that Dr. Day brought to our
21 attention for women at risk for
22 estrogen-receptive cancers metabolites. So

1 this was a struggle for me, but I didn't hear
2 that there are any alternatives. I didn't see
3 a safety signal. The substance seems to be
4 well characterized.

5 The bioresponse dietary supplement is
6 not a regulated product, and so I feel like the
7 compounding arena would be a more reliable
8 place for patients to obtain this substance.

9 DR. GULUR: Thank you. I voted no for
10 reasons already stated, and we will conclude
11 the vote with this.

12 We're going to wait for Dr. Fojo to call
13 in and record his vote.

14 (Pause.)

15 DR. FOJO: Can you hear me now? I can
16 hear myself now. So my vote is no.

17 [Inaudible] -- comment as I did before, that
18 there was no evidence of any cancer activity.
19 As regard to the compound as a whole, I didn't
20 see that the evidence was very persuasive to
21 much of [inaudible] -- advocate for. I think
22 that at [inaudible] -- but I would have to say

1 that the data is available --

2 I don't have a printout, so it will not
3 be the same thing. But I said that I voted no,
4 and that the reason was -- I said I voted no,
5 and that the reason was, initially, for the
6 comments that I had made before. And that was
7 that there was no evidence that this had any
8 anti-cancer activity or I should say no
9 credible evidence.

10 As for the other properties that were
11 advocated, I [indiscernible] those as well.
12 There was insufficient data or evidence. And
13 given that, I couldn't see that this was a
14 compound to which a yes vote should be
15 submitted. So I voted no.

16 DR. GULUR: We have met the requirements
17 for this vote, and we will now proceed with the
18 FDA presentation for vasoactive intestinal
19 peptide. Dr. Johnson?

20 **FDA Presentation - Susan Johnson**

21 DR. JOHNSON: Our apology for the
22 technical glitches this afternoon. My name is

1 Susan Johnson, and I'm an associate director in
2 CDER's Office of Drug Evaluation IV. I'll be
3 discussing FDA's review of vasoactive
4 intestinal peptide. I'd like to recognize and
5 thank the members of the review team
6 representing the various review disciplines.
7 And I'd also like to thank Pawanprit Singh and
8 Sharon Thomas, the regulatory project managers
9 who have done a tremendous job in keeping this
10 compounding review process and planning for
11 this meeting on track.

12 Vasoactive intestinal peptide, or VIP,
13 was nominated for use as a nasal spray in the
14 treatment of a condition described as chronic
15 inflammatory response syndrome, or CIRS.
16 Regarding physical and chemical
17 characteristics, VIP is an endogenous peptide
18 comprising a 28-amino acid chain. The peptide
19 has also been shown to have a 3-dimensional
20 conformation that is critical to its
21 functionality.

22 VIP can be prepared using solid-phase

1 peptide synthesis and HPLC purification. A
2 bioassay can be used to confirm its secondary
3 structure. Stability of VIP in a nasal
4 solution will be related to its concentration,
5 pH, and storage temperature. VIP is prone to
6 degradation in a dilute solution.

7 Potential impurities from the
8 manufacturing process include modifications in
9 the peptide sequence such as extra amino acids
10 called insertions or dropped amino acids called
11 deletions. Potential manufacturing impurities
12 also include the presence of residual solvents.

13 There are potential impurities from
14 degradation of VIP, including aggregates of the
15 peptide, changes to the secondary structure,
16 and peptide fragments. The presence of peptide
17 impurities and degradants in a compounded
18 product raises concerns about potential
19 immunologic responses, a safety concern that I
20 will discuss in later slides.

21 The physical and chemical
22 characteristics of VIP can cause the safety and

1 efficacy of VIP to be affected by nasal
2 delivery from a nasal spray. There are
3 physiologic factors that can affect intranasal
4 delivery of a peptide. In addition, accurate
5 and consistent administration via nasal spray
6 depends on factors like droplet size
7 distribution, plume geometry, and priming
8 requirements.

9 In summary for this evaluation factor,
10 VIP is a peptide whose activity is dependent on
11 its synthesis as a 28-amino acid sequence
12 peptide with a proper secondary structure.
13 Concentration, pH, and temperature affect
14 stability of VIP and formation of its
15 degradants, and reliable dose delivery from a
16 nasal spray involves consideration of numerous
17 device and physiologic factors.

18 Moving now to safety considerations, VIP
19 is an endogenous neuropeptide with diverse
20 physiologic roles in mammals. The peptide was
21 identified in the 1970s, and its physiologic
22 research continues to investigate VIP's

1 potential activity and potential therapeutic
2 uses. The half-life of VIP is short in both
3 humans and in animals. In animals, VIP has
4 been shown to have rapid hepatic clearance and
5 cross the blood-brain barrier.

6 There are no animal data regarding acute
7 toxicity, genotoxicity, developmental, and
8 reproductive toxicity, or toxicokinetics. VIP
9 was shown in a 45-day study in rats to be a
10 tumor promoter for colon cancer, but no
11 standard two-year carcinogenicity study has
12 been conducted. Overall, the available
13 non-clinical data are inadequate to establish
14 and characterize the safety of VIP therapy for
15 human use.

16 In humans, the potential for immunologic
17 reactions exists in association with the
18 administration of a peptide or protein. VIP
19 itself may trigger such a response as could any
20 of the possible impurities or degradants that I
21 identified earlier. It's important that VIP be
22 characterized in association with its synthesis

1 process and that the stability of VIP be
2 considered for the life of the compounded
3 product.

4 Looking at adverse events that have
5 occurred in clinical trials, most were found to
6 be mild and related to VIP's vasoactive
7 effects. However, in a study of VIP in the
8 treatment of pulmonary arterial hypertension, a
9 group of patients were reported to have had an
10 increase in VIP auto-antibodies. In two cases,
11 the immunologic response was reported to have
12 been severe. Searches of the FAERS and CAERS
13 reporting systems did not return reports of any
14 adverse effects.

15 To summarize our review of VIP safety,
16 we find that there are insufficient,
17 non-clinical data particularly to determine the
18 safety of VIP for human use in a chronic
19 condition. The majority of adverse events are
20 reported to be mild, however, potential
21 immunologic reactions are an important
22 consideration with the administration of a

1 peptide, and severe reactions of this type have
2 been reported. Therefore, characterization and
3 control of the peptide impurities and
4 degradants is important for the safe use of
5 VIP.

6 We note that there are no approved
7 treatments in the U.S. for the nominated use of
8 CIRS. Our review considered the evidence of
9 VIP effectiveness to treat a condition called
10 chronic inflammatory response syndrome, CIRS.
11 This condition is not found in standard disease
12 indexes such as ICD-10 or MedDRA. We have
13 identified one publication in which VIP was
14 studied in the treatment of CIRS specifically
15 for a condition in which CIRS is proposed to be
16 attributable to exposure to water-damaged
17 buildings.

18 Twenty patients were enrolled in this
19 open-label study. No placebo or active
20 treatment comparator was included in the study
21 design. Each patient was reported to have had
22 previous treatments for CIRS provided by the

1 investigator. The published report does not
2 specify enrollment criteria such as the
3 identity or severity of symptoms or the plasma
4 levels of the 12 endogenous substances
5 monitored in the study.

6 VIP plasma levels are theorized to be
7 abnormally low in association with CIRS. VIP
8 treatment was intended to be used 4 times a day
9 for a period of 18 months, but only 8 of the 20
10 patients reported using the substance as much
11 as 3 or 4 times a day during that period. Five
12 patients reported stopping the treatment
13 intermittently.

14 Evaluations were conducted at baseline
15 12 and 18 months. Among the evaluations of
16 plasma levels for the 12 substances and
17 physician assessment of symptoms, no primary
18 endpoints were identified and no efficacy
19 thresholds were specified. Looking
20 specifically at VIP plasma levels, there was no
21 information provided about the timing of plasma
22 sampling relative to dosing. At 18 months, the

1 mean VIP level of a treatment group was found
2 to be statistically lower than the mean VIP of
3 the comparator group.

4 To summarize, there is inadequate
5 clinical information regarding VIP's use in the
6 nominated CIRS condition. The single trial of
7 CIRS water-damaged buildings does not provide a
8 basis on which we conclude that VIP is
9 associated with clinical improvement. In
10 addition, the study does not provide evidence
11 that the intranasal administration of VIP used
12 in the study resulted in systemic exposure.

13 Regarding historical use of compounding,
14 we did not find adequate information to
15 determine how long VIP has been used in
16 pharmacy compounding. We did find that VIP is
17 currently advertised on the internet as being
18 available in nasal and injectable compounded
19 formulations. Another name for VIP is
20 Aviptadil, and outside the U.S., Aviptadil is
21 approved in combination with the drug
22 phentolamine for intracavernosal injection use

1 in the treatment of erectile dysfunction.

2 In summary, VIP is a 28-amino acid
3 peptide with a specific secondary structure.
4 Both impurities from synthesis and degradation
5 of the peptide can be associated with
6 immunologic reactions. We find there are
7 inadequate non-clinical data to establish the
8 safety of VIP use in humans, particularly for
9 chronic use. Clinical safety data that are
10 available to us primarily show mild adverse
11 effects associated with VIP's vasodilatory
12 activity, but severe immunologic reactions have
13 been documented. We do not have adequate
14 clinical information about the condition called
15 CIRS.

16 The single trial assessing the
17 effectiveness of VIP to treat CIRS
18 water-damaged buildings did not establish that
19 VIP is associated with clinical improvement or
20 that VIP is systemically available from
21 intranasal delivery. We do not have adequate
22 information to establish the historical use of

1 VIP in pharmacy compounding.

2 Therefore, we find the physical and
3 chemical characterization, safety, efficacy,
4 and historical use in compounding of VIP weigh
5 against its inclusion on the list of bulk drug
6 substances that can be used to compound
7 products in accordance with 503A of the FD&C
8 Act. Thank you.

9 **Clarifying Questions from the Committee**

10 DR. GULUR: Thank you. Any clarifying
11 questions? Dr. Carome?

12 DR. CAROME: Mike Carome. Did the
13 Shoemaker study, the clinical trial involving
14 the 20 patients, would that have required an
15 investigation or new drug application to the
16 FDA? And if so, was one submitted to the FDA?
17 And did the FDA under that, if it got one,
18 review the study that was conducted?

19 DR. JOHNSON: I'm going to refer that to
20 Ms. Gebbia.

21 MS. GEBBIA: We generally don't disclose
22 the existence of INDs unless they've been

1 publicly disclosed by the party that has
2 submitted it.

3 DR. GULUR: Any other questions?

4 (No response.)

5 DR. GULUR: Thank you, Dr. Johnson.

6 We will now proceed with the nominator
7 presentations. We have one presentation on
8 vasoactive intestinal peptide from Dr. Ritchie
9 Shoemaker from Hopkinton Drug, Incorporated.

10 **Nominator Presentation - Ritchie Shoemaker**

11 DR. SHOEMAKER: Good afternoon. My name
12 is Rich Shoemaker. For clarification, I'm a
13 retired physician. I am not affiliated with
14 Hopkinton Drug. I'm medical director of a
15 private, non-profit research organization
16 called the Center for Research and Biotoxin
17 Associated Illnesses. I hope my response will
18 clarify some of the comments made by
19 Dr. Johnson.

20 What I will attempt to work with you
21 today is that, reality, for the people who have
22 a multi-system illness acquired following

1 exposure to the interior environment of
2 water-damaged buildings, as well as other
3 illnesses, given names like fibromyalgia and
4 chronic fatigue syndrome, we have been able to
5 show through physician use that intranasal VIP
6 safely corrects proteomic and transcriptomic
7 abnormalities. And that paper was accepted for
8 publication last week. It would have been
9 impossible for the FDA to review ahead of time
10 but was supplied in the packet to Dr. Hong.

11 We also have a manuscript in preparation
12 showing effectiveness of VIP in correcting grey
13 matter nuclear atrophy, and a total of 10
14 structures in the brain using an FDA-cleared
15 software program called NeuroQuant. There is
16 no data anywhere showing that any drug can
17 safely correct proteomics, transcriptomics, and
18 grey matter nuclear atrophy.

19 What we showed in the paper referred to
20 by Dr. Johnson was statistically significant
21 improvement that was durable without adverse
22 effects over 18 months in a group of patients

1 who had followed a 10-step protocol that's been
2 peer reviewed and published previously, and has
3 been subjected to two placebo-controlled,
4 double-blinded trials.

5 There is no other variable that was
6 changed in this study to show systemic benefit
7 other than use of VIP. The reason that some
8 patients did not complete all 18 months of the
9 trial is that many felt better to the point of
10 not needing any medication well before the
11 18-month duration. They did not continue the
12 drug beyond that time.

13 The 2016 paper was accepted for
14 publication in Medical Research Archives and
15 has been supplied to you. It is absolutely
16 dramatic, showing that resolution in ribosomal
17 and nuclear-encoded mitochondrial gene
18 expression, these changes approximate to the
19 factor of 10 to the 43rd power. No study has
20 ever shown this benefit in any medication. The
21 study on 39 patients that also was included in
22 the packet sent to Dr. Hong showed remarkable

1 correction, along with longer use of VIP, of
2 grey matter nuclear atrophy.

3 VIP is not compounded in a dilute
4 solution. It's a concentrated solution of
5 500 mics per mL. One percent glycerin is added
6 to a sterile saline solution to help preserve
7 secondary structure and prevent protein
8 aggregation. All glassware is used in
9 preparation. It's disinfected with 70 percent
10 isopropyl alcohol.

11 Included in the packet we sent to you
12 were multiple HPLC stability studies confirming
13 VIP nasal spray is highly stable with API
14 maintaining correct amino acid sequence.

15 Subsequent to the expiration of the due date
16 for materials, we received two analyses from
17 Alliance Protein Laboratories confirming
18 circular dichroism analysis of vasoactive
19 intestinal peptide in aqueous methanol
20 maintains its alpha helix and the beta folds.

21 The product itself is highly stable with
22 a pH of 6.1 to 6.2. It has been shown to be

1 stable in refrigeration for up to 90 days.
2 Each of the bottles used is labeled for use for
3 30 days. There are USP monographs regarding
4 the packaging of the nasal sprayer in residual
5 solvent levels, showing acceptability well
6 below limits in the packet provided to you.

7 The history of this drug is it was first
8 used in November 2008. The prescribers see
9 great benefits in the survey sent to you. This
10 is just a small group of the docs that are
11 using this. It is known by physicians that use
12 it that the quality of life restoration is
13 remarkable, and the drug itself has been
14 life-saving in more than a few cases. The
15 manufacturer has shown 98.8 percent purity of
16 the drug. And specifically in regard to
17 immunologic responses, there's no evidence in
18 any of the uses that we have seen of any
19 cytokine release syndrome, and there's nothing
20 to support anti-drug antibody issues.

21 The two patients reported as having
22 those issues were listed in a letter in

1 response to Dr. Sayeed [ph] writing about
2 pulmonary hypertension. They never were
3 published. We have not seen any documentation
4 anywhere of who those patients were, what they
5 had wrong with them, and what alternative
6 approach to diagnosis was made. I do suggest
7 that that information not be given as much
8 weight as Dr. Johnson provided.

9 Currently in the U.S., there are 1700
10 patients taking VIP; 314 physicians are writing
11 prescriptions to a single drug, Hopkinton Drug
12 in Hopkinton, Massachusetts. The drug has been
13 refilled over a thousand times with total
14 refills approaching 8,000. We have known five
15 patients who had to stop VIP due to adverse
16 effects, usually due to their low-grade rise of
17 lipase in association with biliary sludge
18 formation in a positive HIDA scan.

19 Regarding immunogenicity, using the
20 guidance for industry and from the FDA
21 published in August of 2014, acute use of VIP
22 reduces dyspnea, shortness of breath, and joint

1 pain in less than 10 minutes. There is no
2 [indiscernible] seen, observed cytokine release
3 syndrome.

4 In terms of looking at some of the
5 genetics and the HLA haplotypes, some of the
6 patients with CIRS, as mentioned, HLA-DRB1-4
7 and DQ3-DRB4-53. These people are associated
8 with the worse rheumatoid arthritis, the worse
9 problems of autoimmune hepatitis, the worse
10 malaria, and the worse CIRS. Defective antigen
11 presentation is suspected and has been
12 published by Dr. Steer [ph] regarding lung
13 patients.

14 What we have not seen is any evidence of
15 undesirable antibody responses or anything
16 suggesting that. We see no augmented responses
17 in these illnesses, which are activated immune
18 system illnesses. The theoretical delivery
19 risk of intranasal VIP might improve or
20 increase immunogenicity, but actually less is
21 seen. And what we see in a significant number
22 of our patients with anticardiolipin antibodies

1 and ANCA is those auto-antibodies often convert
2 to normal.

3 Chronic use of over 6 months is rare.
4 There's a downwards titration over time. It's
5 not increasing. There's no evidence of
6 tolerance. Pulmonary hypertension is the
7 element most and highly associated with
8 improvement with VIP, beginning within 1 month
9 lowering pulmonary artery pressure below
10 8 millimeters of mercury. Exercise tolerance
11 is better. Executive cognitive function is
12 better. I'm going to come to that in just a
13 sec.

14 The transcriptomics are done with
15 next-generation DNA sequencing. They are now
16 accepted for publication, have not come out.
17 The compound is anti-inflammatory. It corrects
18 massive mitochondrial gene activation that are
19 nuclear encoded and corrects the sarcin-ricin
20 loop of the 28-S subunit of the ribosome. This
21 is found in all of these chronic fatigue
22 illnesses, and we actually think we've found

1 the magic bullet but have not published enough
2 patients, as you already know. It corrects
3 abnormalities in layered levels of granzymes
4 and defenses and activates Ikaros to
5 substantial benefit.

6 When we look at changes in grey matter,
7 nuclear atrophy, use for longer periods of time
8 show remarkable correction of nuclear atrophy;
9 with less than 12 weeks, only 11 percent of
10 these atrophic nuclei improving. But over
11 24 weeks -- granted, it is a small
12 study -- 33 percent improved. By dose, we see
13 the same sort of dose-response relationship
14 where higher levels of doses show improvement
15 in 20 regions; 35 percent are improved and
16 21 percent resolved their abnormalities of
17 nuclear atrophy to equal controls. If we look,
18 out of these 10 structures, 3.5, 3.4, and 3.6
19 before use of VIP, and 0.9, which is equal to
20 controls, afterwards is just stunning.

21 The VIP is stable in solution. There's
22 nothing to suggest anti-drug antibodies. So

1 called severe immune adverse effects are not
2 supported in the literature other than reported
3 in one letter, and is not supported by 8 years
4 and 1700 patients' experience in using the
5 drug.

6 There are now three studies. Granted,
7 two are very recent. And I apologize. We've
8 been working as hard as we can. Three studies
9 on VIP show efficacy and safety without
10 significant adverse effects. Given that we
11 know -- and there's no argument that VIP
12 accumulates in the brain, and the positive
13 effects of Ikaros as well, the resolution of
14 grey matter in nuclear atrophy has never been
15 seen before.

16 Historical use continues to grow as the
17 same safety and efficacy seen beginning in
18 2008. And based on the four criteria listed
19 above, we feel that these criteria weigh
20 heavily to add VIP. What we're looking at is a
21 drug that has restored life to some of the most
22 disabled people I've seen in treating 300,000

1 patients in my primary care career. Thank you
2 for your attention.

3 **Clarifying Questions from the Committee**

4 DR. GULUR: Do we have clarification
5 questions from the committee for the presenter?
6 Dr. Carome? I'm sorry. Dr. Vaida, would you
7 like to go first?

8 DR. VAIDA: Is Hopkinton Drug the only
9 place that compounds this for you?

10 DR. SHOEMAKER: To my knowledge, it is
11 the only one at this time. Other pharmacies
12 are considering using this drug. There's a
13 pharmacy in Los Angeles, one in Montana, and
14 one in Texas that would like to use it.

15 DR. GULUR: Dr. Carome?

16 DR. CAROME: Mike Carome. Two
17 questions. Can you tell us whether an IND, an
18 investigation new drug application was
19 submitted to the FDA for the research that was
20 conducted?

21 DR. SHOEMAKER: No IND was submitted.
22 It's my understanding that because this was on

1 a list that said it could be -- sorry. It was
2 not on a list that said you couldn't compound
3 it, that an IND was not required. If I'm
4 incorrect, please correct me.

5 DR. GULUR: We'll allow the FDA to
6 comment on that.

7 MS. GEBBIA: I'll say that our clinical
8 investigations generally require an IND.
9 That's really all I can say about the case at
10 this point. We have regulations about INDs
11 that have been published, and I would refer
12 folks to those at this point.

13 DR. CAROME: And could you clarify
14 whether any of the clinical trials that you've
15 referenced were randomized, placebo-controlled
16 trials?

17 DR. SHOEMAKER: Not at this state. The
18 grey matter nuclear atrophy study was just
19 recently presented at a conference on
20 October 15th. There were a number of
21 Alzheimer's researchers that were certainly
22 very interested. That was a proof of concept

1 trial. To tell someone we can fix grey matter
2 nuclear atrophy would be laughed at before our
3 data were presented. We're not laughing about
4 that anymore.

5 DR. GULUR: Dr. Braunstein?

6 DR. BRAUNSTEIN: I see all this research
7 being done, and obviously all these claims of
8 efficacy, and I'm curious. Why are you seeking
9 approval on this list as opposed to seeking a
10 new drug application approval? I mean, to me
11 this is a backdoor. This is not really the
12 mechanism for registration of new drugs.

13 DR. SHOEMAKER: The drug is not new in
14 the United States. Biogen Idec Canada has
15 phase 2 trials under the name Aviptadil. It's
16 been noted in research papers in 1970 to have
17 diverse multi-pluripotent beneficial effects.
18 It's not an attempt to get around the FDA. It
19 was continuing the process of using a drug that
20 has been used since 2008 with a prescription
21 for compounding.

22 DR. GULUR: Dr. Wall?

1 DR. WALL: I believe you said this drug,
2 you put it through a peer review process.
3 Could you describe that process for us?

4 DR. SHOEMAKER: The Journal of Health
5 provided a peer review for the paper published
6 in 2013. Medical Research Archives did a peer
7 review for the transcriptomics. The third
8 paper that we are writing up now is a draft to
9 the manuscript and has not been submitted for
10 peer review at this time.

11 DR. GULUR: Could you describe the IRB
12 process you underwent to conduct these studies?

13 DR. SHOEMAKER: Yes. I used Copernicus
14 Group IRB and Research Triangle North Carolina.
15 I submitted in 2009 informed consent documents
16 and a protocol that was back in forth in the
17 public. The approval of the drug came -- of
18 the IRB came in 2010. For the genomics, we
19 asked the same IRB for a waiver of informed
20 consent on retrospective use of people already
21 in our data set. We use the next-generation
22 sequence here at NC State for our samples.

1 The same review process, a retrospective
2 review, was granted a waiver on a separate
3 application through IRB for the NeuroQuant. In
4 the packet we submitted to you, there are two
5 papers on NeuroQuant. One was peer reviewed
6 and published by Neurotoxicology and
7 Teratology, and then there was a second paper
8 that was published in an online journal.

9 DR. GULUR: Thank you, Dr. Shoemaker.
10 And during this IRB process, it was never
11 brought to your attention, or it was never
12 raised that this should perhaps go through the
13 IND process?

14 DR. SHOEMAKER: Because we didn't know
15 that it was required to be an IND since it was
16 not on a list that said you couldn't compound
17 it. The question of IND never came up.

18 DR. GULUR: Thank you.

19 Yes, Dr. Wall?

20 DR. WALL: Another question. You said
21 that the side effect profile was minimal, but
22 could you elaborate on what side effects you

1 have seen, and were there any unexpected ones?

2 DR. SHOEMAKER: The side effects are
3 looked at hyper-acutely when the drug is first
4 given in a physician's office. There will be
5 one spray given on one side of the nostril
6 after someone's blown their nose. The patient
7 is monitored. We look for changes in joint
8 discomfort at 5 minutes, 10 minutes, and
9 15 minutes. We also look for ability to take a
10 deeper breath, a more full breath.

11 I fully admit that our attempt to show
12 cognitive improvement in 15 minutes is somewhat
13 subjective at best. People are followed every
14 time they refill a prescription, are you having
15 any problems that are new since you've used the
16 drug. We do not have a formal reporting system
17 for adverse effects thought to be due to the
18 drug.

19 In the first two years of experience, we
20 did see elevated levels of lipase, never more
21 than twice normal, then resolved with removal
22 of the drug. But when we saw that lipase

1 elevation, and given the pancreatic secretion
2 profile, it shouldn't have been too surprising,
3 we stopped the drug.

4 At the same time, because that was a
5 little unusual -- why would one person have
6 lipase problems and another person didn't, same
7 age, same gender, same race -- we then looked
8 for -- with a centigram for biliary
9 abnormalities. They were normal. They were in
10 all cases.

11 Then we looked with HIDA scan, and we
12 saw a marked reduction in excretion of tracer,
13 suggesting that a problem with biliary sludge
14 was contributing to the rise in lipase. Rather
15 than give you a definitive answer and exactly
16 the market biology-wide, because it was so
17 rare, we stopped the drug and went on. Those
18 people did not get benefit from VIP and
19 duration.

20 Now that we know about the
21 transcriptomic changes, now that we know the
22 mechanisms that this drug is actually doing at

1 the fundamental basis of illness, we are
2 looking at a breakthrough in this chronic
3 fatigue illness. I'm sure you've heard
4 arguments about chronic fatigue syndrome over
5 the years. We're looking at the first time we
6 can show the genomic and transcriptomic
7 abnormalities that a safe drug let's people
8 enjoy, and giving back life, and you fix
9 pulmonary hypertension. I'm telling you, you
10 have to see some of these folks to believe
11 them.

12 DR. GULUR: Go ahead, Dr. Pham.

13 DR. PHAM: So clearly the focus has been
14 on the intranasal delivery of VIP, however, in
15 the FDA materials, in historical use and
16 compounding, they mentioned that the nasal and
17 injectable compounded formulations have been
18 advertised. Are you aware of its injectable
19 use?

20 DR. SHOEMAKER: I'm sorry. This is such
21 a big room, and I've got bad hearing. Could
22 you --

1 DR. PHAM: Just that apparently the FDA
2 materials also talk about this drug, including
3 nasal and injectable compounded formulations.
4 Are you aware of its injectable use?

5 DR. SHOEMAKER: I have never seen
6 injectable use of VIP. That was new. I'd
7 never seen anybody use VIP for intracavernosal
8 injection until the FDA found that paper. But
9 specifically, it's nasal spray only, no -- and
10 then the other issue is that the systemic
11 kinetics of the drug are such that it will be
12 lysed by endopeptidase in hepatic metabolism so
13 fast, I can't see how an injection that would
14 contribute to possible introduction in the
15 blood supply would make sense.

16 DR. GULUR: Ms. Davidson?

17 MS. DAVIDSON: Considering that you
18 believe that this will reverse grey matter
19 nucleus atrophy, that has many more
20 implications than the diseases you mentioned.
21 And if you've got 1700 patients that are
22 receiving it now very successfully in one

1 provider pharmacy, that sounds very much like
2 an IND situation to me. Would you consider
3 filing an IND with future submission for such a
4 miracle drug?

5 DR. SHOEMAKER: Absolutely. This drug
6 has been a magnificent addition to care of some
7 of the most desperately ill people you ever
8 want to see. I'd be happy to submit INDs if
9 that were demanded, but I do speak for the
10 people that are on the drug now that cannot
11 give up the quality of life that they have now.

12 MS. DAVIDSON: And maybe this is further
13 discussion later, but if he were to file an IND
14 and the drug was not added to the list, would
15 he still be able to continue to use this drug
16 in those patients?

17 DR. GULUR: So we'll defer that for our
18 discussion portion. Any other clarifying
19 questions?

20 (No response.)

21 DR. GULUR: Thank you, Dr. Shoemaker.
22 We appreciate your presentation.

1 DR. SHOEMAKER: Thank you for your
2 attention.

3 MR. MIXON: Were his slides provided to
4 the committee?

5 DR. GULUR: I'm sorry?

6 MR. MIXON: Were his slides provided to
7 the committee?

8 DR. HONG: Slides that were presented?

9 MR. MIXON: Yes.

10 DR. HONG: No. The nominator's slides
11 are not presented to the committee [inaudible -
12 off mic].

13 **Committee Discussion and Vote**

14 DR. GULUR: Since the agency did not
15 receive registrants for the fifth open hearing
16 session, we will move on to the committee
17 discussion and voting. We will now begin the
18 panel discussion of vasoactive intestinal
19 peptide, and we can start with Ms. Davidson's
20 question, which was referred.

21 Would you like to repeat that?

22 MS. DAVIDSON: Do you need me to repeat

1 it?

2 MS. GEBBIA: No. I don't think so. My
3 memory is a little -- should be able to handle
4 that one. And please jump in if I'm incorrect.
5 But my understanding is if an IND was submitted
6 and it wasn't placed on clinical hold, and it
7 met requirements and then consistent with what
8 was in there, that patients could be treated
9 pursuant to it.

10 DR. GULUR: Any other questions? Dr.
11 Braunstein?

12 DR. BRAUNSTEIN: Could you just repeat
13 that? I missed the point about a hold. Is
14 there a hold on --

15 MS. GEBBIA: No, no, no. I said if an
16 IND were submitted and it weren't placed on
17 clinical hold. Sort of the IND, we don't
18 approve them the way we do other things. It's
19 just that we put a hold on it. So if a hold
20 weren't placed on it, then it could proceed.

21 DR. BRAUNSTEIN: I see.

22 DR. GULUR: Any further discussion,

1 clarification points? Yes?

2 DR. VAIDA: I had one question on the
3 adverse reactions. So since this isn't like
4 the study, these studies are being done under
5 an IND, but they're approved by an IRB, none of
6 those reactions have to go to FDA, right? They
7 just go to the IRB? Because it looked like the
8 FDA scoured their database and found nothing,
9 and then we just heard that there were some
10 reactions.

11 MS. GEBBIA: Right --

12 DR. VAIDA: I'm just curious --

13 MS. GEBBIA: It wasn't reported the way
14 you would report adverse events through an IND.
15 That's correct. I would have to defer to
16 others about the basis of getting that, where
17 they got that.

18 DR. JOHNSON: We have requirements,
19 regulations that pertain to the submission of
20 adverse events for compounds that are being
21 studied under an IND. The requirements do not
22 spread to information that is not being

1 generated under an IND.

2 DR. GULUR: If there are no other
3 questions from the committee members, I have
4 one question. And perhaps the FDA can help me
5 understand this. Institutional review boards,
6 would it be reasonable to consider that they
7 would be familiar with the requirements of an
8 IND?

9 DR. JOHNSON: Absolutely.

10 DR. GULUR: Does the FDA have any
11 purview in educating IRBs on this should they
12 find that they are unaware?

13 MS. GEBBIA: Yes. I think that's an
14 issue that we are -- there are regulations that
15 pertain to human subject protections that are
16 under the FDA's purview and also HHS's.

17 DR. GULUR: Thank you very much.

18 Any further discussion points? Dr.
19 Braunstein?

20 DR. BRAUNSTEIN: Sure. And I
21 think -- and maybe the FDA will need to deal
22 with this. But under the Code of Federal

1 Regulations, 312.2A, applicability for
2 requirements for an IND -- I'm sorry, B,
3 exemptions, "The clinical investigation of a
4 drug product that is lawfully marketed in the
5 U.S. is exempt from the requirements of this
6 part if all of the following apply."

7 I'm just wondering if the definition of
8 lawfully marketed is perhaps unclear because I
9 do believe that if a product can be compounded,
10 it is lawfully marketed in a sense. And that
11 is sort of a conundrum here, looking at the way
12 the Code of Federal Regulations is worded, and
13 you may want to take a look at that.

14 MS. GEBBIA: Yes, thank you. We're
15 considering our policies in this area. We're
16 aware of that.

17 DR. GULUR: Thank you. Any further
18 discussion from the panel?

19 (No response.)

20 DR. GULUR: We will now end our
21 discussions and start the vote. The question
22 before us is, FDA is proposing that vasoactive

1 intestinal peptide not be included on the 503A
2 bulk list. Should vasoactive intestinal
3 peptide be placed on the list?

4 Please press the button firmly on your
5 microphone that corresponds to your vote. You
6 will have approximately 15 seconds to vote.

7 (Vote taken.)

8 DR. HONG: For question 2, we have zero
9 yeses, 8 nos, and zero abstain.

10 DR. GULUR: Thank you. We'll begin with
11 Dr. Vaida for comments on his response.

12 DR. VAIDA: Allen Vaida. I voted no,
13 and it just seems like -- I agree with one of
14 the members here that it just seems like a
15 backdoor effort, and that an IND should be put
16 forward for this.

17 DR. GULUR: Dr. Pham?

18 DR. PHAM: Katherine Pham. I voted no
19 as well for similar reasons about the IND,
20 though hope that if there are patients that
21 need to continue therapy or on the current
22 protocol, that that access does not get

1 disrupted.

2 DR. WALL: Donna Wall. I voted no for
3 the same reasons. It sounds in Dr. Shoemaker's
4 presentation that there is something that is
5 really working and needs to be explored more on
6 a national basis, which is why it really needs
7 an IND so that the entire profession, or all of
8 these patients across the country, if effective
9 can take advantage of it.

10 DR. CAROME: Mike Carome. I voted no for
11 the same reasons just stated.

12 DR. HOAG: Steve Hoag. I voted no for
13 the same reasons. It sounds more in the
14 research stage. And from the discussion today,
15 it sounds like this is something that needs to
16 be more investigated.

17 DR. DiGIOVANNA: John DiGiovanna. I
18 voted no for the reasons that have been
19 mentioned. I'm not quite sure about the IND
20 issue. I think my perspective is I'm not clear
21 that I understand what this condition is. It's
22 not a well-recognized, established disorder

1 where it is clear that it's easy to identify
2 who has it and who doesn't have it. And that
3 makes it quite difficult to determine if
4 treatment is effective or isn't effective, or
5 in whom it might exhibit certain toxicities
6 versus others. And that's the reason I think
7 it's important to study it in a rigorous
8 fashion.

9 MS. DAVIDSON: Gigi Davidson. I voted
10 no for many of the reasons stated. For a drug
11 that will potentially reverse grey matter
12 nucleus atrophy, which could be useful in
13 Parkinson's disease, multiple sclerosis,
14 Alzheimer's, dementia, I think Dr. Shoemaker
15 has the key element in place, and that's an
16 IRB, which this group has discussed often as
17 the major obstacle to filing an IND for
18 compounded preparations.

19 He's already got that, so I think to
20 protect the potential for this drug and
21 certainly for the 1700 patients that are
22 currently on it, that an IND is the way to go

1 in this case. And I would not want to deny
2 those 1700 patients access.

3 DR. GULUR: I voted no for all the
4 reasons that have previously been stated. With
5 that, we will conclude this vote.

6 Thank you, everyone, for your
7 participation. We will now have our afternoon
8 break. Committee members, please remember that
9 there should be no discussion of the meeting
10 topic during the break amongst yourselves or
11 with any member of the audience. Please return
12 to your seats at 3:25 p.m. Sorry, 2:35. We
13 are very ahead of schedule. Thank you.

14 (Whereupon, at 2:20 p.m., a recess was
15 taken.)

16 DR. GULUR: Thank you, everyone. We'll
17 reconvene for the afternoon session. We will
18 now continue with the FDA presentation on
19 demonstrably difficult to compound drug
20 products that employ topical delivery systems.
21 Before we begin, we will have Dr. Cindy Hong
22 read the Conflict of Interest Statement

Conflict of Interest Statement

1
2 DR. HONG: The Food and Drug
3 Administration is convening today's meeting of
4 the Pharmacy Compounding Advisory Committee
5 under the authority of the Federal Advisory
6 Committee Act of 1972. With the exception of
7 the National Association of Boards of Pharmacy,
8 the United States Pharmacopeia, and the
9 industry representatives, all members and
10 temporary voting members of the committee are
11 special government employees or regular federal
12 employees from other agencies and are subject
13 to federal conflict of interest laws and
14 regulations.

15 The following information on the status
16 of this committee's compliance with federal
17 ethics and conflict of interest laws, covered
18 by but not limited to those found at 18 USC
19 Section 208, is being provided to participants
20 in today's meeting and to the public.

21 FDA has determined that members and
22 temporary voting members of this committee are

1 in compliance with federal ethics and conflict
2 of interest laws. Under 18 USC Section 208,
3 Congress has authorized FDA to grant waivers to
4 special government employees and regular
5 federal employees who have potential financial
6 conflicts when it is determined that the
7 agency's need for a special government
8 employee's services outweighs his or her
9 potential financial conflict of interest or
10 when the interest of a regular federal employee
11 is not so substantial as to be deemed likely to
12 affect the integrity of the services which the
13 government may expect from the employee.

14 Related to the discussions of today's
15 meeting, members and temporary voting members
16 of this committee have been screened for
17 potential financial conflicts of interest of
18 their own, as well as those imputed to them,
19 including those of their spouses or minor
20 children and, for purposes of 18 USC Section
21 208, their employers. These interests may
22 include investments, consulting, expert witness

1 testimony, contracts, grants, CRADAs, teaching,
2 speaking, writing, patents and royalties, and
3 primary employment.

4 During this meeting, the committee will
5 discuss drug products that employ transdermal
6 and topical delivery systems, which were
7 nominated for the Difficult to Compound List.
8 The nominators will be invited to make a short
9 presentation supporting the nomination.

10 This is a particular matters meeting
11 during which general issues will be discussed.
12 Based on the agenda for today's meeting and all
13 financial interests reported by the committee
14 members and temporary voting members, no
15 conflict of interest waivers have been issued
16 in connection with this meeting. For the
17 record, Dr. Michael Carome has been recused
18 from participating in the discussions and
19 voting for this topic. To ensure transparency,
20 we encourage all standing committee members and
21 temporary voting members to disclose any public
22 statements that they have made concerning the

1 topic at issue.

2 We would like to note that Dr. Donna
3 Wall is a representative member from the
4 National Association of Boards of Pharmacy and
5 that Ms. Gigi Davidson is a representative
6 member from the United States Pharmacopeia.

7 Section 102 of the Drug Quality and
8 Security Act, amended the Federal, Food, Drug,
9 and Cosmetic Act, with respect to the Advisory
10 Committee on Compounding, to include
11 representatives from the NABP and USP. Their
12 role is to provide the committee with the
13 points of view of the NABP and USP. Unlike the
14 other members of the committee, representative
15 members are not appointed to the committee to
16 provide their own individual judgment on the
17 particular matters at issue. Instead, they
18 serve as the voice of the NABP and USP entities
19 with a financial or other stake in the
20 particular matters before the advisory
21 committee.

22 With respect to FDA's invited industry

1 representatives, we would like to disclose that
2 Dr. Ned Braunstein and Mr. William Mixon are
3 participating in this meeting as nonvoting
4 industry representatives, acting on behalf of
5 regulated industry. Their role at this meeting
6 is to represent industry in general and not any
7 particular company. Dr. Braunstein is employed
8 by Regeneron Pharmaceutical, and Mr. Mixon is
9 employed by The Compounding Pharmacy.

10 We would like to remind members and
11 temporary voting members that if the
12 discussions involve any other topics not
13 already on the agenda for which an FDA
14 participant has a personal or imputed financial
15 interest, the participants need to exclude
16 themselves from such involvement, and their
17 exclusion will be noted for the record. FDA
18 encourages all other participants to advise the
19 committee of any financial relationships that
20 they may have regarding the topic that could be
21 affected by the committee's discussions. Thank
22 you.

1 DR. GULUR: Thank you. The FDA would
2 like to pass along some samples currently to
3 the committee members. Dr. Caroline Strasinger
4 will present on topical delivery systems.

5 **FDA Presentation - Caroline Strasinger**

6 DR. STRASINGER: Thank you. I would
7 like to discuss with you the transdermal or
8 topical delivery system today. I am Caroline
9 Strasinger from the Office of New Drug Product
10 in the Office of Pharmaceutical Quality within
11 CDER. I do want to stress that transdermal or
12 topical delivery systems for this discussion
13 does not include any liquids or semi-solids
14 such as gels, creams, lotions, foams,
15 ointments, or sprays.

16 I will briefly introduce you to the
17 transdermal or topical delivery in general, as
18 well as the topical or transdermal delivery
19 system, and then we'll go through the
20 evaluation criteria for the Difficult to
21 Compound List, including complex formulation,
22 drug delivery mechanism, dosage form, complex

1 characterization, and control of drug
2 bioavailability, complex compounding process,
3 as well as complex physicochemical or
4 analytical testings, and provide you with the
5 recommendation of the FDA.

6 First, transdermal systems are designed
7 to deliver active ingredient across the skin
8 and into systemic circulation. Their target is
9 the blood stream. Their target is to get the
10 drug into systemic circulation. Conversely,
11 topical delivery systems are designed to
12 deliver the active ingredient into local
13 tissue. So their target is not the blood
14 stream itself, rather the lower layers of the
15 epidermis, the dermis, or the subcutaneous
16 tissue below. Again, there is a difference
17 between the two transdermal delivery systems.
18 The blood stream topical delivery systems are
19 designed to deliver the active ingredient to
20 local tissue.

21 Despite those broad differences, we
22 group these two together in this conversation

1 because these products employ similar
2 manufacturing and quality control concerns that
3 would present similar risk, patient safety risk
4 in the end.

5 Again, it is important to stress that we
6 are not considering liquids and semi-solids
7 such as gels, creams, lotions, foams,
8 ointments, and spray in this review. I think
9 we recognize that there are transdermal and
10 topical delivery gels, creams, and lotions out
11 there, but for this purpose, we are only
12 looking at the transdermal or topical delivery
13 system.

14 Briefly, we can broadly divide these
15 products into two major categories: matrix
16 type transdermal or topical delivery systems,
17 or reservoir type systems. You're probably
18 most familiar with matrix type systems. They
19 do dominate the market. Some examples would be
20 nicotine transdermal system such as
21 NicoDerm CQ. The lidocaine topical patch would
22 be an example of a topical delivery system that

1 would represent a matrix type system.

2 Reservoir systems are less common. Some
3 that are currently present on the market would
4 be the testosterone transdermal system. On
5 this particular board, this is the only board
6 with reservoirs. I will pass this around. But
7 the reservoirs look like this, and the matrix
8 are on all your boards that you receive right
9 now, and look like this at the bottom.

10 In general, they do all contain some
11 major components that are quite similar to each
12 other. All transdermal or topical delivery
13 systems include a release liner, which is that
14 part that you would peel away from the product
15 and throw away in the end. They all contain a
16 backing membrane, which is that outer surface,
17 so once applied, that's what you would see on
18 the surface of your skin. And they all contain
19 an adhesive in order to maintain contact with
20 the skin.

21 The difference between the two are
22 actually where the drug API itself resides. In

1 a matrix type system, the drug would reside in
2 the matrix itself, so it would be dissolved in
3 or contained in a matrix layer. That is the
4 pink layer on the top design there. In a
5 reservoir type system, there is a liquid or gel
6 component to it, however, it is entrapped
7 between two membranes. So it's a fully-sealed
8 contained unit, but there is a gel reservoir
9 inside the product itself.

10 Despite they might appear quite simple,
11 they look very simple with films, they do
12 contain very specialized characteristics in
13 order to elicit a quality product. Some of
14 those characteristics that we will explore in
15 the next 20 or so minutes will be specialized
16 raw material control selection, distinctive
17 manufacturing processes, and unique in-process
18 and final control measures.

19 What is meant by eliciting a quality
20 product would be, A, that it has to deliver a
21 specified amount of API. It has to have
22 control impurities. Many of the excipients

1 used in these are adhesives used in other
2 industries, so they may have interesting
3 impurity profiles, but a quality product would
4 be able to control these impurities.

5 They need to maintain adhesion.
6 Transdermal and topical delivery systems vary
7 greatly across the market. Some are designed
8 to deliver a drug for just a few hours, while
9 some are designed to deliver a drug for up to 7
10 or a week-day -- a couple days to 7 days. And
11 they must limit irritation. As I mentioned,
12 some of the excipients can be quite irritating.
13 They are not necessarily medical grade
14 adhesives, so they do elicit irritation. So a
15 proper quality product is one that controls
16 irritation as well.

17 The first criteria is complex
18 formulation. This is going to be a common
19 theme throughout the next 20 minutes. API
20 delivery through the skin is influenced by a
21 set of complex characteristics of the active
22 ingredient and the other excipients. We're

1 going to hear that theme over and over about
2 how the excipients and the complexity of the
3 choices available interact with the active
4 ingredient, as well as the batch-to-bath
5 variability of the active ingredient in the
6 excipient itself. Not only do they affect
7 delivery of the API, these factors can make it
8 difficult to maintain adequate functional
9 properties such as adhesion and limiting
10 irritation.

11 So delving a little deeper, looking at
12 the properties of the API that impact product
13 performance, one would be the polymorphic form.
14 Transdermal and topical delivery systems often
15 require a specific polymorphic form, or the
16 drug is supposed to remain in an amorphous
17 form.

18 Inadequate control of your polymorphic
19 form or your state of your drug would lead to
20 excessive crystallization in the vehicle,
21 whether that be a reservoir gel or the adhesive
22 matrix. Now, the problems that can arise from

1 that is, A, you don't have drug for delivery,
2 but, B, you can also lose adhesion because the
3 system becomes more rigid because of the
4 crystals.

5 Solubility is critical for transdermal
6 and topical delivery systems. For the API to
7 pass the skin, it needs to be in a dissolved
8 state. Sink conditions are necessary to
9 deliver the drug across the skin. Now, sink
10 conditions refers to the driving force. You
11 have a high concentration at the surface or in
12 the transdermal or topical product. It has to
13 slowly decrease as you move into the lower
14 levels of the skin and the systemic uptake. So
15 if you don't maintain that sink, that
16 concentration gradient, you won't have
17 consistent delivery.

18 Compatibility is critically important.
19 The physical, chemical, or physiological
20 interactions of the API and the excipients,
21 they interact with each other. And the way
22 that they interact can often result in product

1 stability, manufacturability, efficacy,
2 performance, therapeutic activity, and they can
3 lead to varying side effect profiles.

4 Then finally, purity is an important
5 property of the API that needs to be evaluated
6 and maintained. While we understand a lot of
7 the permeabilities of the API itself, a lot of
8 time the impurities associated with that API
9 are not well understood. Therefore, if your
10 API is not pure, you may delivering impurities
11 at a rate that you don't understand -- or don't
12 evaluate.

13 Moving forward with the excipients in
14 the complex formulation, characterization and
15 control of those key functional excipients are
16 critical to the safety, efficacy, and quality
17 of the transdermal or topical delivery system.
18 Excipients used in transdermal systems include
19 various and multiple adhesives, permeation
20 enhancers, rate controlling or non-rate
21 controlling membranes, solubilizers,
22 plasticizers, tackifiers, and the list goes on

1 and on.

2 When you looked at the boards that went
3 by, you could see they were quite varied across
4 the board. Many of these products, even though
5 they do appear like simple films, they do
6 contain multiple adhesives in order to maintain
7 their adhesion, so it's not simply just one
8 adhesive with a drug dissolved in it. All
9 excipients and their varying combinations can
10 influence active delivery or product adhesion,
11 and therefore their safety profiles.

12 Looking specifically at the adhesive,
13 because most often in transdermal and topical
14 delivery systems, adhesive itself is the
15 largest component, the performance of the
16 finished product can vary widely based on the
17 selected adhesive system. And I refer to it as
18 an adhesive system because, as mentioned, they
19 often contain multiple adhesives in them.

20 There are primarily three types of
21 adhesives. There are few others out there, but
22 generally they can be divided into basically

1 three categories: acrylate,
2 polyisobutylene/polybutene, or PIB adhesive,
3 and a silicone adhesive. Now, on the
4 ingredients list, they would appear as those,
5 however, there are actually hundreds of
6 different grades of each of those three
7 categories. Each grade of the categorized
8 adhesive contains its own individualized raw
9 material characteristics such as viscosity
10 profiles, impurity profiles, solvent systems,
11 molecular weight ratios.

12 Those polyisobutylene and polybutene,
13 the PIBs, the different grades will have
14 different high molecular weight polymers than
15 low molecular weight polymers. You start
16 playing with those ratios in the different
17 grades, and you're going to get a different
18 viscosity profile and a different adhesion
19 profile.

20 Selected cross linkers, functional end
21 groups, these are all parts of polymerization.
22 Again, selecting one of these three main

1 categories of adhesives does not necessarily
2 mean that you're always going to have the same
3 adhesive because there are so many different
4 grades.

5 Adhesives are qualified in the
6 manufacturing world through extensive testing
7 as a raw material. So as the raw material's
8 received, manufacturers then test it as a
9 laminate. So they cast it and dry it and test
10 the properties of tack adhesion, all of the
11 properties of just the adhesive in a dried
12 state, and then they'll test it in the final
13 product. So this just illustrates how much
14 testing goes into just picking the correct raw
15 material.

16 In summary, transdermal and topical
17 delivery systems are created from ingredients
18 with highly variable chemical and physical
19 properties, and you must have predictable and
20 controllable composition and stability, and
21 exhibit consistent functionality, all of which
22 can be influenced by the raw material that's

1 actually selected in how they are controlled.
2 So as such, we feel that transdermal or topical
3 delivery systems presents demonstrable
4 difficulties for compounding.

5 Looking at the complex delivery system
6 mechanism itself, factors influencing the
7 delivery of an API through the skin can include
8 obviously the quantitative and qualitative
9 composition. We just explored that a little
10 bit, so proper excipient selection is
11 important.

12 Excipients again will individually and
13 collectively influence the rate of delivery as
14 well as product performance, meaning adhesion,
15 or it can be a factor that influences API
16 through the skin. Obviously, as mentioned,
17 some of these products are designed for just a
18 couple of hours wear; some are designed for
19 multi-day wear. If the product does not stay
20 adhered to the skin, you will not have API
21 delivery.

22 Finally, one other area we want to touch

1 on is physical design, which would include
2 surface area backing membrane and thickness of
3 the matrix. API delivery is directly
4 proportional to the surface area of the
5 transdermal or topical delivery system that is
6 in contact with the skin. The thickness of the
7 adhesive matrix itself, so that layer that is
8 cast, can influence delivery and API delivery,
9 as well as adhesion, and the type of backing
10 membrane itself can actually influence delivery
11 and adhesion.

12 Just as there are many, many different
13 grades of adhesives, there are many, many
14 different backing membranes as demonstrated by
15 the boards that went around. There were cloth
16 type ones, metallized ones. There were lots of
17 different ones on all those boards.

18 An example of how it would impact API
19 delivery is some of these membranes have what
20 is considered low moisture vapor transmission
21 ratio. That means the liquid cannot permeate
22 your sweat; for instance, cannot permeate

1 through the backing membrane, and it provides
2 occlusion. When you have occlusion, your
3 stratum corneum hydration goes up, and
4 therefore your skin permeability goes up.

5 Some products are designed to have that
6 occlusive backing membrane. Conversely, some
7 products are designed to not have that
8 occlusive backing membrane. So if a compounder
9 were to choose the wrong backing membrane, you
10 could dramatically change the delivery profile
11 of the product.

12 Stiffness of backing membrane, thickness
13 of the adhesive layer, and the surface area can
14 all influence skin adhesion. Very thick
15 membranes are very rigid structures. They may
16 not conform to your movements as you turn and
17 twist. Conversely, very thin membranes may
18 make it very difficult to adhere to the
19 product. It will wrinkle as you pull that
20 release liner off and make it difficult to
21 adhere to the skin.

22 In summary, the mechanism by which

1 active ingredient is delivered through the skin
2 is complex because it involves designing and
3 manufacturing a product that can deliver a
4 specific amount of API per unit area, per unit
5 time, maintain adhesion for the duration of
6 intended wear, and have minimal irritation of
7 the skin throughout wear and upon removal.

8 Again, the dose delivered is affected by
9 several factors which may adversely affect
10 safety and efficacy, including lack of precise
11 control of raw materials, as well as the
12 manufacturing process. Therefore, we feel this
13 complexity creates a demonstrably difficult
14 product to compound.

15 Transdermal and topical delivery systems
16 are considered complex dosage forms. As we've
17 already explained, they have complex
18 formulations and complex drug delivery
19 mechanisms. Transdermal and topical delivery
20 systems necessitate extensive product
21 development, and characterization, and precise
22 control over the raw materials and

1 manufacturing processes. As such, they present
2 a demonstrable difficulty for compounding.

3 Now, looking at bioavailability, as we
4 mentioned, they're very complex, and even small
5 changes in performance characteristics can have
6 a significant impact on local and systemic
7 bioavailability and efficacy of the product.
8 Thinking about locally-acting products -- so
9 again we're going back to the topical delivery
10 systems -- they often have little to no
11 systemic uptake. Remember, their target is
12 local tissue, not the blood stream. As such,
13 bioavailability is often assessed using
14 pharmacodynamic studies or clinical endpoint
15 studies, chemical endpoint approaches such as
16 is your pain relieved, yes or no, or a scale.

17 Systemically-acting products, so
18 transdermal delivery systems, their PK profiles
19 can be impacted by several physiological
20 factors, including something known as a skin
21 depot effect. And that's where actually the
22 layers of the skin themselves serve as a

1 reservoir, and the reservoir is influenced by
2 the chosen excipients that are in the product.

3 So if you apply a product here, the drug
4 is absorbed into the local tissue. It may
5 remain there. When you remove the product, you
6 now have a depot. You go to apply your next
7 product you're delivering from your depot as
8 well as your new product. Absorption
9 differences at different application sites are
10 quite well known and studied in literature as
11 well.

12 To assess bioavailability as part of the
13 approval process -- so for NDAs and
14 ANDAs -- applicants typically have to perform a
15 multitude of in vitro pharmacokinetic and other
16 in vivo assessments such as
17 irritation/sensitization studies as well as
18 adhesion studies. Currently, there is no
19 single easily reproducible reliable method of
20 measurement that can quantitate the dose
21 delivered by the product and received by the
22 patient.

1 These measurements would be necessary to
2 consistently make a product with a delivered
3 dose that uniformly falls within an
4 acceptable range. Because there are no methods
5 to characterize bioavailability, compounded
6 transdermal or topical delivery systems may not
7 possess the appropriate bioavailability
8 profile, and thus they can pose significant
9 safety/efficacy risks to the patient.

10 In summary, in vitro assessments such as
11 in vitro release testing and in vitro adhesion
12 testing, which we'll explore shortly, alone are
13 not sufficient to accurately predict
14 permeation, bioavailability, and overall
15 clinical effect. Even the small changes in
16 performance characteristics can significantly
17 impact the local and systemic bioavailability
18 and efficacy of a product. Therefore,
19 transdermal and topical delivery systems are
20 considered complex systems for which
21 bioavailability is difficult to assess and may
22 not be achieved, and therefore present a

1 demonstrable difficulty for compounding.

2 So let's look at a potential compounding
3 process so we can understand how complex it
4 would be. Transdermal and topical delivery
5 systems require specialized processing to
6 reproducibly yield products with predictable
7 drug delivery. Thinking about the reservoir,
8 so even though there's not a lot of those on
9 the market, let's take a look at those.

10 Transdermal and topical delivery systems
11 that would employ a reservoir type delivery
12 system requires specialized heat sealing
13 equipment to fully entrap the gel between the
14 membrane layers of the product to prevent
15 leaks. Leaks can be very dangerous for others
16 as well as the person that is wearing the
17 product itself. Therefore, manufacturers have
18 to have very specialized heat sealing equipment
19 that will fully entrap the gel, and then they
20 have to monitor that those seals remain tight
21 throughout the stability of the product.

22 Looking more at the more common process

1 now, even the simplest of matrix products -- I
2 mentioned how complex many of these are, but if
3 we broke it down to the very simplest of
4 products, they're going to contain at least
5 three major steps, including mixing, casting,
6 drying, and laminating.

7 In the mixing stage, that's where you're
8 going to dissolve your API. You're going to
9 mix up your permeation enhancers, your
10 adhesives, and you're going to create a uniform
11 mix. That mix will then be transferred to a
12 caster or a coder. In the casting and coding
13 stage, these casters and coders themselves are
14 quite varied. There are many, many different
15 designs out there, but in general, you want a
16 uniform casted thickness and coat.

17 Most people do not realize that where we
18 actually cast and coat is on the release liner.
19 So that piece that we end up throwing away is
20 where the product is actually made. So the
21 release liner passes underneath the caster or
22 coder. It picks up its uniform thickness, and

1 then it passes into an oven where the solvents
2 are driven off. Once it exits the oven, that
3 is when the backing membrane -- so that piece
4 that's on the out exterior of the product -- is
5 then laminated.

6 Breaking the three processes down just a
7 little more, mixing is critical to achieving a
8 uniform mixture of API and excipients.

9 Exceeding the solubility limits, incomplete
10 mixing, or dissolution of the API can result in
11 decreased API available for delivery.

12 Overmixing -- so you can't just mix it up until
13 you think you have a uniform mix -- or
14 excessive propeller speeds can actually
15 introduce air bubbles into the mix. When you
16 cast that out, you have an uniform matrix, and
17 therefore it could lead to adhesions problems,
18 or even delivery problems.

19 Additionally, formulations often contain
20 immiscible adhesives or penetration enhancers.
21 As mentioned, many products have multiple
22 adhesives. That is because when you dissolve

1 your API in one adhesive, the tack of that
2 adhesive will drop, so you have to boost your
3 adhesion with another adhesive. Those
4 adhesives often are immiscible, and therefore
5 you end up with kind of an emulsion mix. It's
6 very important that you then have a uniform mix
7 when you cast out this laminate.

8 Variable mixing times, holds, so how
9 long it takes you to get that mix to the
10 caster, and on to the laminate, and into the
11 dryer can actually influence adhesion
12 properties or delivery, as well as the transfer
13 can lead to unintended phase separation. So if
14 you get the oil/water mixture, that would lead
15 to not a uniform product.

16 Casting is critical to achieving a
17 uniform thickness or coat weight. This is
18 typically performed on automated equipment with
19 precise gap thickness and speed controls to
20 produce uniform thickness and coat weight.
21 Varying this thickness in coat weight directly
22 affects the API content. Just as there are

1 numerous adhesives in backing membranes, there
2 are many, many release liners commercially
3 available.

4 Selecting a release liner that is
5 incompatible with the mix or casting on a non-
6 coated side of a release liner can result in
7 permanent bonding of the release liner. I've
8 actually demonstrated this here. Once you
9 remove that release liner that I did at the
10 very beginning -- I flipped it over and applied
11 it to the product, and now it is permanently
12 bound to the product or it's causing cohesive
13 failure. So that just illustrates that if you
14 coat on the wrong side of the release liner,
15 which is just a clear membrane, you can
16 actually result in a poor quality product.

17 Appropriate drying is critical for
18 driving off solvents. It's not as simple as
19 putting a transdermal laminate into an oven and
20 turning it on. Conventionally this is
21 performed in multi-chamber ovens with very
22 precise control of temperature, drying time,

1 and air flow.

2 If you drive off solvents too quickly
3 with too high of temperatures at the very
4 beginning, you can lead to bubbles forming in
5 your matrix. Too low or shorter of drying
6 times may not entirely drive off all the
7 solvents, and therefore you believe behind this
8 soft, tacky, transdermal system or topical
9 delivery system which would impact stability,
10 delivery, and adhesion properties.

11 It's critical for controlling residual
12 solvents and volatile adhesive impurities. As
13 mentioned, many of these adhesives are used for
14 other industries, the automotive industry and
15 industry that uses some kind of tacky adhesive.
16 Often these are similar adhesives, and as such,
17 they have many impurities in them that we would
18 not want to apply to the skin. The drying
19 process is critical to driving off most of
20 those impurities.

21 If the critical process parameters of
22 drying temperature, dryer air flow, and line

1 speed are not adequately optimized and
2 controlled, efficacy, product performance, and
3 safety may be negatively impacted. So as such,
4 it is important to note that transdermal and
5 topical delivery systems are complex, and they
6 use specialized equipment, allowing for
7 automated processing and precise control for
8 both reservoir and matrix type delivery
9 systems. Any errors in the major steps of
10 mixing, casting, or drying of the transdermal
11 system or topical delivery system are
12 reasonably likely to result in variability in
13 the delivered dose and product performance.

14 The final consideration we have is
15 complex testing. Extensive characterization
16 and development studies on specific
17 formulations, the functional properties, and
18 the manufacturing process is necessary to help
19 assure satisfactory performance. A large
20 number of complex tests are needed to help
21 ensure satisfactory performance of the
22 transdermal system or topical delivery system,

1 including raw material testing, release
2 testing, and stability testing.

3 We've spoken a lot about raw material
4 testing, rigorous qualification of key
5 excipients as required. Raw material
6 properties like viscosity and impurity content
7 way up stream often have a dramatic impact way
8 down stream on the finished product.

9 Suppliers' adhesive specifications are often
10 very wide, so manufacturers often must set
11 internal specifications that are much more
12 narrow so they can assure that the adhesive
13 they are receiving from the manufacturer will
14 fit their product profile.

15 Release testing includes in vitro
16 adhesion testing. There are actually four
17 tests that we typically would require in an NDA
18 or ANDA that would include peel adhesion,
19 release liner peel, and tack and shear. These
20 are just four different types of tests to test
21 those adhesive properties of every batch that
22 comes off the manufacturing line.

1 It's important to note the
2 characteristics of these methods, so things
3 like conditioning time, how long the product
4 sat before we put it on our apparatus, how long
5 it sat on the apparatus, the angle of the peel,
6 the peel rate, the substrate, all of these
7 significantly affect the results obtained, and
8 that's compounded by the fact that -- I need to
9 hurry up. The point is that the complexity of
10 testing increases with the number of operators,
11 each of which would have to achieve the same
12 results consistently.

13 In vitro adhesion, it's very, very
14 important to note that in vitro adhesion
15 testing does not correlate well with in vivo
16 adhesion testing. We use in vitro adhesion
17 testing to ensure batch-to-batch consistency.
18 There is no magic number using these in vitro
19 methods that would say a product would adhere
20 to a human. That is critically important to
21 understand. Once the transdermal or topical
22 delivery system has demonstrated adequate

1 adhesion through in vivo studies, then we set
2 our specifications for the in vitro adhesion
3 testing to assure batch-to-batch consistency
4 and throughout shelf life.

5 Due to the impact of interplay of API
6 adhesives and other excipients on adhesion
7 properties, compounded transdermal or topical
8 delivery systems would need to be tested
9 through in vivo and in vitro methods in order
10 to ensure product performance.

11 I'm not going to go into great detail on
12 other release tests, but some other examples
13 include obviously assay uniformity, impurity,
14 and residual solvent testing. It's important
15 to note that like in vitro testing,
16 sophisticated equipment and specialized methods
17 are needed to be developed.

18 In essence, you're not just developing
19 an HPLC method to test for assay. You first
20 have to develop a method that can extract the
21 API from the product, and then develop a method
22 for HPLC. The same could be said for all the

1 impurities. You have to first extract those
2 impurities from a manufactured product and then
3 test their quantity. The lack of quantitation
4 of residual monomers, adhesive impurities, and
5 the residual solvents would adversely affect
6 the safety of the product in each batch
7 manufactured.

8 For stability testing, there are many
9 quality concerns that can creep up on us on
10 stability. Some of those are cold flow, which
11 is the oozing of adhesive beyond the matrix
12 parameters. This can lead to use and adhesion
13 difficulties. Crystallization we've already
14 talked about.

15 Leachables, there are residual solvents
16 in these products that can actually extract
17 other impurities from pouching, from the
18 backing membrane, from the release liner, and
19 then you also have those impurities to worry
20 about. The toxicity and skin penetration of
21 those impurities would also be unknown.

22 Finally, volatile penetration enhancers,

1 penetration enhancers that are formulated into
2 the product or critical for a certain delivery
3 profile, if those are not manufactured
4 appropriately and not maintained throughout the
5 shelf life, you can have vastly different
6 permeation profiles.

7 In conclusion, we feel that the complex
8 physicochemical and analytical testing,
9 including raw material release and stability,
10 help assure satisfactory performance. These
11 tests are difficult to develop, validate, and
12 perform routinely. They have to use highly
13 specialized and unique equipment, and analysts
14 often have to receive very complex and
15 considerable training to perform them
16 consistently. So as such, they present
17 demonstrable difficulties for compounding.

18 The final comment to make is the
19 risk-benefit to patient. There are
20 approximately 25 unique transdermal or topical
21 delivery systems on the market with many
22 available generic formulations approved under

1 NDAs and ANDAs, including pain management,
2 contraception, Alzheimer's, Parkinson's,
3 smoking cessation; the list is quite extensive.

4 As discussed, strict quality control on
5 raw materials and the manufacturing process and
6 product are needed. Some ingredients in
7 approved transdermal and topical delivery
8 systems may cause hypersensitivity. However,
9 it's important to note that any attempt to
10 compound them by removing or replacing a
11 specified ingredient is reasonably like to
12 adversely affect the product performance.

13 The most common components to cause
14 irritation is first and foremost the active
15 ingredient. You can't avoid this in a
16 compounded product, so therefore we'll skip
17 that one.

18 The adhesive is the next most common
19 component to cause irritation. The adhesive
20 cannot be avoided. If you tried to substitute
21 it or remove it, you would change the delivery
22 and/or performance of the product as we've

1 discussed.

2 The third most common component to cause
3 irritation is the penetration enhancer.
4 Substitution or removal can change delivery
5 and/or performance. Penetration enhancers work
6 in a variety of ways. You can't just simply
7 substitute one, or you're going to change how
8 the penetration enhancer works. Any benefit of
9 allowing these products to be compounded is
10 outweighed by the risk discussed.

11 As such, we recommend that transdermal
12 delivery and topical delivery systems present
13 demonstrable difficulties for compounding that
14 reasonably demonstrate and are reasonably
15 likely to lead to an adverse effect on the
16 safety or effectiveness of this category of
17 drugs, taking into account the risk and benefit
18 to patients. Accordingly, we believe that
19 transdermal or topical delivery systems should
20 be included in the Difficult to Compound List
21 under the sections of 503A and 503B of the
22 Federal Food, Drug, and Cosmetic Act. Thank

1 you.

2 **Clarifying Questions from the Committee**

3 DR. GULUR: Thank you very much. Any
4 clarifying questions? Dr. DiGiovanna?

5 DR. DiGIOVANNA: John DiGiovanna. You
6 haven't talked about particulate systems like
7 those that incorporate lipid particles and
8 other sorts of materials within a non-solid
9 vehicle. And it strikes me because I was a
10 little confused when I saw the terminology
11 here, that aren't what you're really talking
12 about here are systems incorporating a solid
13 component? Because those are the ones that
14 need to have an adhesive applied? And you're
15 not talking about systems that may have other
16 types of -- for example, lipid particles and
17 other things, spheres, to incorporate.

18 DR. STRASINGER: We would only be
19 discussing transdermal or topical delivery
20 systems, not gels, creams, lotions, which I
21 believe that would be where your lipid
22 particles would be. Is that what you're

1 referring to?

2 DR. DiGIOVANNA: Yes. There are a
3 number of different types of creams and lotions
4 that incorporate the active agent into some
5 sort of particulate matter, lipid particles or
6 that sort of thing. And it kind of gets a
7 little bit confusing when there -- at least it
8 was to me when I was reading this, what you're
9 talking about. But I think you're only talking
10 here about things that include some solid
11 component. Is there anything here that doesn't
12 include a solid component?

13 DR. STRASINGER: They're all contained
14 transdermal and topical delivery systems. That
15 is the dosage form. Therefore, we're only
16 looking at -- I guess if your understanding is
17 solid as what is going around on those boards,
18 that is the dosage form we're considering, not
19 the gels, creams, lotions, sprays, or
20 ointments, or foams.

21 DR. DiGIOVANNA: It just sounds like
22 that's a convoluted way when you exclude the

1 gels, creams, liquids. But then again, aren't
2 we not going to get into a discussion at some
3 point about those creams or lotions or gels
4 that are so complicated to compound because
5 they have other -- these particulate systems?

6 MS. GEBBIA: I'd have to go and check,
7 but I think the kinds of products that you're
8 talking about may have been or could be
9 separately nominated. I think it's different
10 than what's the subject of this. We can
11 double-check that, but I think what we're
12 talking about is these systems, the reservoir
13 and the matrix type that she showed and
14 displayed.

15 DR. DiGIOVANNA: I think we had a
16 discussion of this in a prior meeting, and it
17 was by someone from the FDA who had a lot of
18 expertise in engineering and whatnot. And we
19 talked about the different types of complex
20 systems. And there are a number of different
21 types of complex systems that don't involve
22 what you have here, which is a physical, solid

1 structure, but also have components that are
2 very, very complicated and difficult to
3 compound.

4 So I was kind of confused as to either
5 why those weren't in here or why this wasn't
6 phrased as something that -- and only to my
7 eye -- it seemed to have a solid component to
8 it, and that's really what these were.

9 MS. GEBBIA: I think the way that we
10 phrased it is based on what the nomination was,
11 and FDA's nomenclature, and the way that we
12 treat these. Of course, we're just looking at
13 one category here. We've got a lot more
14 nominated substances and categories and
15 products to look at. So it's not to say that
16 we won't be looking at them in the future.

17 DR. GULUR: Any other questions? Ms.
18 Davidson?

19 MS. DAVIDSON: That was a very
20 comprehensive presentation, and you convinced
21 me.

22 DR. STRASINGER: Thank you.

1 MS. DAVIDSON: I do have one question,
2 though. There are some iontophoretic reservoir
3 patches that don't have active in them. Any
4 vote here to include these dosage forms on the
5 demonstrably difficult would not preclude a
6 compounder from loading those iontophoretic
7 reservoir devices. That would not be
8 considered compounding a transdermal dosage
9 system, would it?

10 MS. GEBBIA: Those systems are also not
11 part of this category.

12 MS. DAVIDSON: Okay.

13 DR. GULUR: Dr. Hoag?

14 DR. HOAG: In the early days of this
15 kind transdermal patches, often it was the skin
16 that was a rate-limiting step. The early
17 developments of this -- I haven't been in
18 school for a while, so I haven't taken a class
19 lately. But is that still the case? Of all
20 these transdermal patches, how much of that is
21 released from the patch rate limiting versus
22 the stratum corneum being rate limiting?

1 DR. STRASINGER: So it's varied. The
2 products out there, some have rate-controlling
3 membranes; some do not. It really depends on
4 how they are designed and how they were
5 formulated originally, and then how they were
6 tested to demonstrate proper delivery in the
7 therapeutic window. I can't disclose which
8 ones have them, but there are products out
9 there with rate-controlling membranes, and
10 there are products without rate-controlling
11 membranes in which the skin would be the
12 rate-limiting step.

13 DR. HOAG: I was just curious, like what
14 percentage of those types of systems -- and you
15 may not know the answer to that.

16 DR. STRASINGER: I actually don't know
17 off the top of my head. I can just tell you
18 there's both out there.

19 DR. GULUR: Any other questions?

20 (No response.)

21 **Open Public Hearing**

22 DR. GULUR: Thank you. We do not have

1 any nominator presentations for this. We will
2 now proceed to hear the open public hearing
3 speakers. I will read the following OPH
4 statement into the record.

5 Both the Food and Drug Administration
6 and the public believe in a transparent process
7 for information-gathering and decision-making.
8 To ensure such transparency at the open public
9 hearing session of the advisory committee
10 meeting, FDA believes that it is important to
11 understand the context of an individual's
12 presentation. For this reason, FDA encourages
13 you, the open public hearing speaker, at the
14 beginning of your oral or written statement to
15 advise the committee of any financial
16 relationship that you may have with the
17 product, and if known, its direct competitors.

18 For example, this financial information
19 may include the payment by a bulk drug supplier
20 or compounding pharmacy of your travel,
21 lodging, or other expenses in connection with
22 your attendance at this meeting. Likewise, FDA

1 encourages you at the beginning of your
2 statement to advise the committee if you do not
3 have any such financial relationships. If you
4 choose not to address this issue of financial
5 relationships at the beginning of your
6 statement, it will not preclude you from
7 speaking.

8 The FDA and this committee place great
9 importance in the open public hearing process.
10 The insights and comments provided can help the
11 agency and this committee in their
12 consideration of the issues before them. That
13 said, in many instances and for many topics,
14 there will be a variety of opinions. One of
15 our goals today is for this open public hearing
16 to be conducted in a fair and open way where
17 every participant is listened to carefully and
18 treated with dignity, courtesy, and respect.
19 Therefore, please speak only when recognized by
20 the chair. Thank you for your cooperation.

21 Please introduce yourself, sir.

22 DR. DAY: My name is A.J. Day with PCCA

1 in Houston, Texas. I'm the director of the
2 pharmacy consulting team, and I do not have any
3 conflict of interest to disclose with this
4 presentation. Dr. Strasinger did a phenomenal
5 presentation on all of the complexities
6 involved with transdermal dosage forms, and I
7 think that she did an excellent job laying out
8 the numerous concerns that happen in the
9 development of essentially a device.

10 It's an engineering control issue with
11 these matrix- or reservoir-based patch systems
12 for the most part. To make those accurately
13 and consistently in today's environment with
14 today's technology does require an industrial
15 complex of engineering.

16 For those reasons, there's no evidence
17 of any compounding of this dosage form
18 happening in today's environment. I think that
19 that's something very important to keep in
20 mind. Are we putting items on the list just to
21 say it's difficult to make, or does there need
22 to be evidence that it's actually been an

1 attempt to compound something before we spend
2 our resources and our time to place it on a
3 list that is regulating and creating policy
4 around compounding?

5 To go back to the definition that FDA's
6 put into this system, transdermal delivery
7 systems as defined here are drug products that
8 employ a matrix or reservoir type transdermal
9 or topical delivery system. For the purposes
10 of this review, FDA is not considering a TDS to
11 be liquid or semi-solid such as gels, creams,
12 lotions, foams, ointments, or sprays that are
13 intended for use without a matrix or
14 transdermal reservoir system, so something
15 that's applied directly to the skin is not
16 included in this review.

17 I think that's a very important
18 distinction. It's something that was just the
19 subject of this discussion here. And there are
20 numerous formulations and FDA-approved products
21 that are topically applied gels or lotions or
22 creams for transdermal use. In fact, even

1 going back to USP 1, we heard the reference to
2 the USP 1 from 1820 earlier this morning. It
3 has numerous formulas listed for topicals with
4 some of those even having transdermal
5 properties.

6 Here we have a topical gel with
7 transdermal effect utilizing diclofenac sodium
8 as an FDA-approved product, and when you look
9 at the bottom of your screen -- this is
10 straight out of the package insert -- the
11 formulation for this product is fairly simple.
12 In fact, it's a standard carbomer-based gel
13 utilizing a couple of penetration enhancers.
14 So this is the type of directly applied, where
15 you're taking the gel and applying it directly
16 to the skin formulation that would not be
17 subject to the limitations of these transdermal
18 systems as defined by this review.

19 On the other hand, we have other
20 FDA-approved products that utilize these matrix
21 or reservoir type of systems. Here we have one
22 that utilized the active ingredient fentanyl in

1 a patch form. And you can see, again, directly
2 from the package insert available from FDA, the
3 data going into providing the approval and the
4 data required for clinicians to understand how
5 to best utilize these drug products.

6 Something that's important to note is
7 that this list of demonstrably difficult
8 applies to both 503A and 503B outsourcing
9 facilities. And when we're looking at the type
10 of data to develop some of the pharmacokinetic
11 profiles that we utilize in understanding how
12 the drugs work, the data used behind these is
13 from relatively small patient populations.
14 We're talking about populations of 8 or 10
15 patients.

16 Now, there's adverse event reporting
17 data that utilized larger cohorts of patients.
18 But the actual pharmacokinetic data is coming
19 from very small patient populations. So to
20 imply that a 503B facility would be unable to
21 develop this sort of data, of at a minimum what
22 was available to get this drug on to the

1 market, is something to keep in mind, that when
2 we're looking to find out how the specifics of
3 the drug could be utilized, of how a
4 preparation could be compounded under certain
5 processes and developing data to support that,
6 there is history of relatively small groups of
7 patients being utilized to provide that level
8 of evidence.

9 Again, here's a lidocaine patch, an
10 FDA-approved product where you have the numbers
11 that are used to develop our pharmacokinetic
12 parameters being quite small. Here we have
13 15 patients involved with these studies to show
14 distribution as well as pharmacokinetics over a
15 period of time.

16 Now again, they do have multiple-dose
17 studies that looked at larger groups of
18 patients, up to 30-35 patients, when they're
19 looking at some of the clinical parameters, but
20 the pharmacokinetic data, again, is all coming
21 from very small patient populations.

22 So again, looking at today's

1 environment, I would absolutely agree that
2 transdermal systems, which are essentially
3 devices as defined here in this meeting, they
4 are beyond the capability of extemporaneous
5 compounding in today's environment. And for
6 that reason, there's no evidence that it's
7 happening today. The policy implication of
8 creating these things on to a list, where we
9 have no evidence of it actually occurring
10 today, is something that I think we need to be
11 aware of.

12 There was an analogy earlier this
13 morning from the auto industry about changing
14 lanes when you're needing to find a new course
15 of action to get to your destination, so
16 conveniently, I had an auto analogy in these
17 slides. In the 1940s, in 1940 actually, the
18 NHTSA and Department of Transportation
19 developed their regulations in the United
20 States for headlights. Those were not updated
21 for 43 years until 1983. In Europe and Asia,
22 they're utilizing today technologies in their

1 headlight systems that improve safety for the
2 drivers as well as for pedestrians and other
3 travelers on the roads that also lower costs in
4 manufacturing and for maintenance.

5 There's a petition from the auto
6 industry in the United States in 2013 to the
7 NHTSA to update their regulations, to update
8 this policy because back in 1940 and 1983, the
9 concept of a computer having some sort of
10 integration with the way your headlights
11 function was unthinkable. And I fear that
12 we're getting into a similar tunnel vision
13 approach here, where we're not having any
14 incidence of these items being compounded, and
15 we're on the cusp of creating a policy that
16 would regulate how technology may be
17 implemented in the future, technologies that
18 we're unaware of today potentially.

19 So this is further explaining some of
20 that headlight technology, which we don't need
21 to spend a lot of time on, but you can see the
22 drastic impact that it could have on traffic

1 safety.

2 Looking at the previous meeting where we
3 discussed metered-dose inhalers and dry powder
4 inhalers for the demonstrably difficult list.
5 Dr. Hoag did ask, "I've never heard of a
6 compounding pharmacist do this. How many
7 prescription per year are in this category?"

8 Ms. Axelrad from the FDA said, "We don't
9 know of anybody doing it either. We wanted to
10 start with something that's relatively easy and
11 not controversial so that you could essentially
12 understand the process of adding things to the
13 demonstrably difficult list." She went on to
14 say that it was nominated. "Of the 71 things
15 that were nominated, a number of them were
16 metered-dose inhalers. That doesn't mean that
17 people were compounding them. It just meant
18 that somebody didn't want to have them
19 compounded."

20 We're in a situation where nobody's
21 compounding this. Does it need to be on a list
22 to say you cannot compound what you're not

1 compounding? So does there need to be evidence
2 of an attempt to compound it before categories
3 of materials are placed on the demonstrably
4 list, and how might that policy that you create
5 today impact or prohibit technological advances
6 for tomorrow, for five years?

7 In the five-year time period, we've seen
8 a lot of advances, even in the medical field.
9 We've seen FDA approve a 3D-printed medicine.
10 And in another five years, which is completely
11 within the scope of final policy coming out in
12 regards to the 503A and 503B list that this
13 committee is discussing, we don't know what
14 that technology's going to look like.

15 So my concern is not with anything that
16 was presented in terms of today's limitations
17 and difficulties with creating this type of a
18 dosage form, but the implications of putting
19 something on the list for which there's no
20 evidence that it is actually being compounded
21 today. Thank you.

22 DR. GULUR: Any questions from our

1 committee members?

2 (No response.)

3 DR. GULUR: Dr. Day, I have a question
4 for you. So are you suggesting then that we
5 should not put this on the list because it
6 would somehow slow down progress, that
7 otherwise if we did not have this on a
8 difficult to compound list, compounders would
9 try to innovate with this?

10 DR. DAY: I'm not suggesting that
11 compounders in today's environment are
12 attempting this or are looking to develop this
13 technology today. What I am suggesting is that
14 because there's no evidence of it being
15 compounded today, that its placement on the
16 list is irrelevant, and what it means for the
17 future and the progress of technology in the
18 medical field of making some of these types of
19 devices more accessible in the future, that's
20 where we're looking at the potential
21 implications of slowing technological advances
22 and medical care.

1 **Committee Discussion and Vote**

2 DR. GULUR: Thank you.

3 We will close the open public hearing
4 portion of this meeting and no longer take
5 comments from the audience. We're moving on to
6 the discussion phase, the panel discussion. Do
7 members have comments?

8 MS. DAVIDSON: I think Dr. Day's
9 question begs the question what happens to this
10 list over time. If we add something to it
11 today and this sort of technology appears
12 tomorrow, what is the process of reviewing this
13 list?

14 MS. GEBBIA: Sure. Obviously, this is
15 FDA's review -- or the nomination and FDA's
16 review, and bringing substances and categories
17 to the PCAC is step one. The next step is a
18 proposed rule, then we get comments on the
19 proposed rule, and we'll do a final rule. I
20 think we said that until that final rule is
21 published, that we don't intend to take
22 enforcement action with respect to things that

1 have been nominated for the Difficult to
2 Compound List.

3 Once something is on the list, we do
4 have a process -- in the final regulation
5 that's codified in our rules, there are
6 processes, citizen petition process, to
7 petition for changes to existing regulations.
8 So nothing -- if there were something in the
9 future, there are ways that we would address
10 it. And that is once we actually get to the
11 final rulemaking stage, which takes some time.

12 DR. GULUR: Any other questions? Dr.
13 DiGiovanna?

14 DR. DiGIOVANNA: John DiGiovanna. So
15 perhaps you can clarify for me. Is the reason
16 that the wording of this is the way it is
17 because that was the way it was proposed? In
18 other words, if there's better wording or
19 different wording, would you have rephrased the
20 question in a different way?

21 Again, because I'm a little bit confused
22 about the wording to talk about transdermal

1 delivery systems except, and then the "except"
2 has a long line of exclusions of topicalals, when
3 in some of those topicalals, there are things
4 that would be considered complex systems that I
5 guess we're not talking about here, varying
6 types of micelles and other complicated to-do
7 things.

8 However, it appears what we're talking
9 about here would be more perhaps clearly
10 conveyed and not misconstrued in the future if
11 it incorporated perhaps the term that Dr. Day
12 suggested, a device, which this sounds like
13 what we're talking about, or something with
14 complicated structural components rather than
15 system. I mean, system to me, and by the
16 definitions I find, any sort of a topical
17 vehicle is a system.

18 I guess my concern is that by voting for
19 something that in the future will be considered
20 nebulous may include those other complex
21 systems and not have them addressed
22 individually.

1 MS. GEBBIA: I think that we've tried to
2 be clear about the scope of what we're talking
3 about here. And with respect to what we
4 ultimately put -- how the entry is framed on
5 the list, I think we'd want to be clear so
6 everybody knew what we were talking about.
7 It's not our intent to put something on there
8 that would capture things that's not intended.

9 So we're happy to have comments on that.
10 It would be something, of course, that would be
11 also subject to the rulemaking process. I
12 don't have the nominations sitting here in
13 front of me, unfortunately, so I can't tell you
14 exactly what it says. But clearly, we are only
15 talking about what Caroline presented, and if
16 you have suggestions, we can certainly take
17 comments on the best way to frame that so it's
18 clear what our intent is.

19 DR. GULUR: Dr. Hoag?

20 DR. HOAG: I thought that you brought up
21 a good point about trying to keep current
22 because I would say it's not that hard to think

1 about in 5 years, 10 years, someone thinking of
2 a printer. Maybe half the tablets will be
3 printed and stuff. I don't know. That may not
4 be called compounding. Who can predict the
5 future?

6 The other thing is these outsourcing
7 pharmacies, if they were actually to
8 specialize, I would say that maybe not
9 currently, but in the future they would be able
10 to produce that for small populations. A lot
11 of the things that you brought up were very
12 valid, but a lot of that's toward the generics
13 and are they necessarily trying to match the
14 profile of something.

15 So in compounding, if you're doing some
16 kind of specialized new thing, I could see that
17 in the future, these outsourcing pharmacies, if
18 they did the appropriate testing, could
19 potentially produce transdermal patches of
20 benefit to the patients.

21 MS. GEBBIA: Yes. I'll say two things.
22 One is, we would want to know what those are

1 and be able to assess them in the future. So
2 we don't know what we don't know, and we've
3 presented the information. It's available to
4 us today. As you said, it could even, whatever
5 comes down the future, be considered something
6 totally different [inaudible - mic off].

7 One thing to consider is whether it
8 would be on a difficult to compound list under
9 Section 503A but not under Section 503B. That
10 is something to consider I think. When we
11 presented our evaluation, we think it applies
12 equally to both, but that's something that can
13 be considered as well.

14 DR. GULUR: Dr. Pham?

15 DR. PHAM: I think that part of the
16 purpose of this committee being convened is
17 that we realize that the practice of
18 compounding is as old as the profession of
19 pharmacy, and it has evolved in its scale and
20 complexity quite a bit.

21 So there are a lot of things that are
22 happening on a more reactionary basis. The

1 purpose I think of this is to really evaluate,
2 currently with your safeguards and your federal
3 oversight in place, where would you want these
4 products to go. And if it is not in the
5 capacity of the compounding or outsourcing
6 facilities, it is to the FDA.

7 So you're making these assessments based
8 on if you had to figure out who you wanted to
9 make these products appropriately in a large
10 distribution scale, putting on the list allows
11 for a whole different group to allow that to
12 happen. Right?

13 So I get that we definitely don't want
14 to impede progress in the future, but we want
15 to also look at the mistakes from the past. We
16 have to really keep safety in mind and the
17 appropriateness of these facilities, whether
18 you're a drug manufacturer who has the ability
19 to make complex device or drug formulations,
20 versus the compounding -- traditional
21 pharmacies versus outsourcing.

22 I think today people probably know where

1 they want to see these products made, and I
2 think that's how we should be guiding our votes
3 on this. But I just want to capture that it's
4 not to -- we have seen the practice evolve, so
5 as it evolves, you can make those adjustments
6 later. But we don't want to put -- we have the
7 opportunity to be proactive about it now.

8 So I think that that's the focus here,
9 whether or not the clarification -- I don't
10 know if this is super limiting, but if you just
11 say matrix or reservoir type delivery systems,
12 and we start with that as a way to vote in the
13 comments. That might be something that kind of
14 helps alleviate the concern about what's being
15 included or not within this specific category.

16 FDA can probably clarify. Am I missing
17 anything if I were to say, phrase the comment
18 as matrix or reservoir type based on the
19 presentation?

20 DR. STRASINGER: I want to be clear.
21 Looking at the USP, they define transdermal
22 system as the dosage form -- topical delivery

1 system as the dosage form. The established
2 name of NicoDerm CQ is nicotine transdermal
3 system. So that's where, from a scientific
4 standpoint, we are coming from saying
5 transdermal system refers to these products
6 just like lidocaine topical patch refers to the
7 lidocaine topical -- that's how it appears in
8 the established name. And USP has defined
9 transdermal systems as those things and topical
10 delivery systems as the local delivery ones.

11 DR. GULUR: Yes?

12 MS. DAVIDSON: And I'd like to reinforce
13 what was just said. The nomenclature and
14 labeling expert committee at USP has referred
15 to the compounded transdermal gels, which are
16 not under discussion now, as topical gel
17 systems.

18 They do not name them transdermal dosage
19 forms regardless of their intended disposition
20 in the patient. They are called topical gels.
21 And so there's a very distinct naming
22 convention that separates these systems from

1 the gels.

2 Going back and looking at the nomination
3 from Public Citizen, I don't see any reference
4 to those gels at all. I only see the
5 transdermal delivery systems as defined by
6 USP's naming convention.

7 DR. GULUR: Dr. Braunstein?

8 DR. BRAUNSTEIN: Maybe this might
9 clarify things for the committee, and the FDA
10 can help me if I'm wrong. But when you think
11 about the traditional role of
12 compounding -- let's say a product is only
13 available as a tablet, and we need a liquid
14 suspension, or an elixir, or whatever, a liquid
15 formulation. That would be within the typical
16 role of compounding that we understand. That's
17 one example. I'm sure there are many others.
18 Obviously, there are many others.

19 But I think what the FDA is saying is if
20 there's a product only available for oral route
21 and there was a need or a desire for that
22 product to be available through a transdermal

1 route, that they don't want that to be done by
2 a compounding alone, that that would have to be
3 something that they want to regulate directly;
4 that is, somebody would need to develop it and
5 apply for a license to sell the product based
6 only after the FDA has reviewed it and
7 determined that it's safe and effective.

8 Is that basically what you're saying?

9 MS. GEBBIA: I think -- what I would
10 say -- I agree with what you say compounding
11 is. What we've been tasked with doing is
12 developing under the statute a list of products
13 that we think present demonstrable difficulties
14 for compounding, and we think that things that
15 are these types of transdermal delivery systems
16 meet that.

17 So we would be concerned about somebody
18 taking something that was available orally, or
19 even a different way of applying it through the
20 skin, but doing it with these transdermal
21 delivery systems.

22 MS. BORMEL: I think Dr. Strasinger

1 covered this in her presentation, but I think
2 that these type of -- the specific dosage forms
3 that are covered in her presentation are very
4 difficult and very complicated to make. And so
5 there's no assurance that if they're made
6 by -- in the current state of affairs and the
7 current state of what we know, which is the
8 only thing we really have now to discuss, that
9 if a compounder were to make them, there's no
10 real assurances that the API would be delivered
11 appropriately, that there would be a rate of
12 absorption that would be appropriate,
13 et cetera, et cetera.

14 But I think that's what
15 Dr. Strasinger -- and you can speak to that,
16 Dr. Strasinger. But that's what she's saying
17 for these particular dosage forms, currently.

18 DR. GULUR: Dr. Wall?

19 DR. WALL: Just an FYI. I was just
20 looking through the internet at some
21 compounding pharmacies, and in their
22 repertoires, when they're saying that we can

1 compound these things, they list patches. I've
2 talked to a couple of folks in the past who
3 have said, oh, yeah, we can make this in
4 patches. And when I think of patches, I think
5 of what they were just talking today. So I
6 think that it is being done.

7 DR. GULUR: Did any one want to comment
8 on that? Mr. Mixon?

9 MR. MIXON: Donna, did I understand you
10 correctly to say that you saw where somebody on
11 the internet is advertising a compounded
12 transdermal system, or are you calling it a
13 patch?

14 DR. WALL: They were talking about
15 compounding products, and we can put it in a
16 patch.

17 MR. MIXON: In my experience, patch is a
18 very loosely used term, especially among the
19 general lay public. I would submit that no
20 rational compounding pharmacist would try to
21 make a transdermal delivery system such as what
22 we've discussed. I would argue that that

1 "patch," quote/unquote, is just referring to
2 the cream or gel that's not under discussion,
3 despite what the language on the internet says.

4 If there's language on there that
5 somebody's trying to make a transdermal
6 delivery system such as we've discussed, I
7 would encourage you to let FDA know so they can
8 do an investigation.

9 DR. WALL: Well, it says creams, gels,
10 patch. Just FYI.

11 MR. MIXON: Well, I'm just telling you,
12 in my experience as a compounding pharmacist
13 for a long, long time, we've never considered
14 trying to make these kind of products.

15 MR. FLAHIVE: And to Mr. Mixon's point,
16 with the thousands of pharmacies out there,
17 it's difficult for FDA to know who's out there,
18 never mind always what they're doing. And this
19 is why we have certain systems we're putting in
20 place, including the Difficult to Compound
21 List, where we want more information before
22 people can make certain products available to

1 the public.

2 DR. GULUR: Any further discussion or
3 comments?

4 (No response.)

5 DR. GULUR: If not, we will proceed. At
6 this time, we will close the discussion and
7 proceed with the vote.

8 The question before us is, FDA is
9 proposing that drug products that employ
10 transdermal or topical delivery systems be
11 included on the Difficult to Compound List
12 under Sections 503A and 503B of the FD&C Act.
13 Should drug products that employ transdermal or
14 topical delivery systems be placed on the list?
15 Please vote now.

16 (Vote taken.)

17 DR. HONG: Question 3, we have 6 yeses,
18 1 no, and zero abstain.

19 DR. GULUR: Thank you. Dr. Vaida, would
20 you like to start the comments?

21 DR. VAIDA: Yes. I voted wrong.

22 (Laughter.)

1 DR. VAIDA: Soon as I let it go.

2 DR. GULUR: And I was waiting for an
3 interesting discussion on this, Dr. Vaida. I
4 was trying to see what you would have to say.

5 DR. VAIDA: I let it go. I meant to
6 vote yes. And I just want to clarify that I
7 would make sure that it's for 503A and B
8 because right now, the regs are B, is still
9 voluntary.

10 DR. GULUR: The question did say 503A
11 and B.

12 Could we correct Dr. Vaida's vote for
13 the record? Dr. Pham?

14 DR. PHAM: I also voted yes. I'll just
15 reinforce what you voted. I also voted yes
16 because I think that there was a very
17 comprehensive presentation on the difficulties
18 of compounding for the topical and transdermal.
19 And I also agree that we should include it for
20 both the 503A and 503B.

21 DR. WALL: I voted yes for the mentioned
22 reasons.

1 DR. HOAG: I voted yes, and I for the
2 time being, these are very appropriate.

3 DR. DiGIOVANNA: John DiGiovanna. I
4 voted yes for the reasons that were mentioned.
5 I do think that there's a little bit of lack of
6 clarity about the description. I do think I
7 understand exactly what the FDA intends.

8 There are transdermal delivery systems,
9 and then there are topical delivery systems.
10 And both of those can deliver a product without
11 a device or with a device. And I think what
12 we're talking about here are the ones that
13 particularly have some sort of device or
14 structure to them. And I also agree that it
15 should apply to 503A and 503B.

16 MS. DAVIDSON: Gigi Davidson. I voted
17 yes for the reasons that have been stated with
18 the caveat that a petition could be made at a
19 future time should technologies become
20 available to afford this ability to compounding
21 pharmacists, and also with the understanding
22 that this decision will continue to be made

1 along the lines of USP naming conventions, and
2 that transdermal systems are not confused with
3 topical gels intended for transdermal
4 administration or transdermal disposition.

5 DR. GULUR: I voted yes as well, to put
6 it on the list, respecting completely the
7 thorough presentation that the FDA provided and
8 all the information with regard to the present
9 day, where it does appear to be a very
10 difficult to compound product.

11 That said, I also appreciate our public
12 comments, which spoke to the effect that this
13 may have on future innovation. And I am
14 reassured that the FDA has processes in place
15 to review this as required.

16 Thank you very much, everyone. We will
17 now close this section of this with last words
18 from the FDA officials if they have any
19 comments.

20 MS. GEBBIA: Thank you very much.

21 **Adjournment**

22 DR. GULUR: No other comments?

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

(No response.)

DR. GULUR: All right. Well, with that,
we are adjourned. Thank you all very much.

(Whereupon, at 3:49 p.m., the afternoon
session was adjourned.)