

UNITED STATES FOOD AND DRUG ADMINISTRATION

PEDIATRIC ADVISORY COMMITTEE MEETING

Silver Spring, Maryland

Tuesday, March 7, 2017

1 PARTICIPANTS:

Welcome and Introductory Remarks:

2

MARK HUDAK, MD

3

Chair of Pediatric Advisory Committee (PAC)

Assistant Dean of Managed Care for the

4

University of Florida, College of Medicine

Jacksonville, Florida

5

Assistant Medical Director

National Intensive Care Unit

6

University of Florida Health,

Jacksonville, Florida

7

Introduction of New Designated Federal Official

8

and Award Presentation:

9

ROBERT "SKIP" NELSON, MD, PhD

Deputy Director, Office of Pediatric

10

Therapeutics

Office of the Commissioner

11

Food and Drug Administration

12

Opening Statement:

13

MARIEANN R. BRILL, MBA, RAC, MT (ASCP)

Designed Federal Official, PAC

14

Office of Pediatric Therapeutics

Office of the Commissioner

15

Food and Drug Administration

Silver Spring, Maryland

16

Center for Biologics Evaluation and Research

17

Presentation

18

Abbreviated Presentations:

19

Novoeight Antihemophilic Factor and Rixubis

20

KENNETH QUINTO, MD, MPH

Office of Pediatric Therapeutics, OC, FDA

21

22

1 PARTICIPANTS (CONT'D):  
Initial Post-Market HDE Review:  
2 Epicel

3 MEGHNA ALIMCHANDANI, MD  
Chief, Pharmacovigilance Branch Division of  
4 Epidemiology  
Office of Biostatistics and Epidemiology  
5 Center for Biologics Evaluation and Research

6 NASRIN MIRSAIDI, MSN, RN  
Product Evaluation Branch II, Division of  
7 Post-Market Surveillance  
Office of Surveillance and Biometrics  
8 Center for Devices and Radiological Health  
Food and Drug Administration  
9  
Center for Devices and Radiological Health; Annual  
10 Update of Post-Market HDE Reviews:

11 Medtronic Activa Dystonia Therapy:

12 ANDREW MILLER, MS  
Adverse Event Analyst  
13 Product Evaluation Branch III  
Office of Surveillance and Biometrics  
14 Center for Devices and Radiological Health  
Food and Drug Administration  
15

16 Impella RP System:

17 GEORGE AGGREY, MD, MPH  
Medical Officer  
Epidemiology Evaluation and Research Branch I  
18 Division of Epidemiology  
Office of Surveillance and Biometrics  
19 Center for Devices and Radiological Health  
Food and Drug Administration  
20  
21  
22

1 PARTICIPANTS (CONT'D):

2 Liposorber LA-15 System:

3 DOUGLAS SILVERSTEIN, MD  
4 Medical Officer  
5 Renal Devices Branch  
6 Division of Reproductive Gastro-Renal and  
7 Urological Devices  
8 Office of Device Evaluation  
9 Center for Devices and Radiological Health  
10 Food and Drug Administration

11 Wrap-Up and Adjournment:

12 MARK HUDAK, MD  
13 Chair of Pediatric Advisory Committee (PAC)  
14 Assistant Dean of Managed Care for the  
15 University of Florida, College of Medicine  
16 Jacksonville, Florida  
17 Assistant Medical Director  
18 National Intensive Care Unit  
19 University of Florida Health,  
20 Jacksonville, Florida

21 Other Participants:

22 MARY CATALETTO, MD, FAAP  
23 Attending Physician  
24 Winthrop University Hospital  
25 Mineola, New York  
26 Professor of Clinical Pediatrics  
27 SUNY Stony Brook  
28 Stony Brook, New York

29 AVITAL CNAAN, PhD  
30 Director, Multi-Center Studies Section  
31 Center for Clinical and Community Research  
32 Children's Research Institute  
33 Children's National Medical Center  
34 Washington, D.C.

35

## 1 PARTICIPANTS (CONT'D):

2 RONALD PORTMAN, MD  
3 Executive Director, Pediatric Therapeutic Area  
4 Novartis Pharmaceuticals Corporation  
5 East Hanover, New Jersey

6 MICHAEL WHITE, MD, PhD, FACC, FAAP  
7 Pediatric Cardiologist  
8 Ochsner Health System  
9 New Orleans, Louisiana

10 PREMCHAND ANNE, MD  
11 Pediatric Cardiology  
12 St. John Providence Children's Hospital

13 KELLY WADE, MD, Ph.D.  
14 Neonatology  
15 Children's Hospital of Philadelphia and  
16 University of Pennsylvania

17 ERIN MOORE, B.S.  
18 Patient Advocate

19 BRIDGETTE JONES, MD  
20 Allergy and Immunology in Clinical Pharmacology  
21 Children's Mercy Hospital

22 DAVID CALLAHAN, MD  
23 Child Neurology  
24 Washington University, St. Louis

25 JUDITH COPE, MD  
26 Office of Pediatric Therapeutics  
27 Head of Safety Team

28 CRAIG ZINDERMAN, MD  
29 Division of Epidemiology  
30 Office of Biostatistics and Epidemiology  
31 Center for Biologics Evaluation and Research

32

## 1 PARTICIPANTS (CONT'D):

2 BETHANY BAER, MD  
3 Medical Officer  
4 Division of Epidemiology  
5 Center for Biologics Evaluation and Research

6 WAMBUI CHEGE  
7 Medical Officer  
8 Division of Epidemiology  
9 Center for Biologics Evaluation and Research

10 PRIYA KISHNANI, MD  
11 WAEEL SAYEJ, MD  
12 ATHENA ZUPPA, MD  
13 ETHAN JAUSMAN, MD  
14 MICHAEL PECK, MD  
15 YAO YAO ZHU

16 NASUM PARIS, MD  
17 TIMOTHY MARJENIN, MS  
18 COURTNEY MILLIN, Ph.D.  
19 HIND BAJDOUN, Ph.D.  
20 JOHN LASCHINGER, MD  
21 CATHERINE RICKETTS, RN, BSN

22 Consultants:

23 FREDERICK KASKEL, PhD, MD  
24 Professor, Department of Pediatrics  
25 Director of Child Health, Einstein CTSA  
26 Montefiore Medical Center  
27 Albert Einstein College of Medicine  
28 Bronx, New York

29 CHRISTY TURER, MD, MHS, FAAP, FTOS  
30 Assistant Professor, Pediatrics, Clinical  
31 Sciences, and Medicine  
32 Director, General Academic Pediatrics  
33 Fellowship  
34 UT Southwestern and Children's Medical Center  
35 Dallas, Texas

36

## 1 PARTICIPANTS (CONT'D):

2 PETER HAVENS, MD, MS  
3 Director, Pediatric HIV Care Program  
4 Children's Hospital of Wisconsin  
5 Professor, Pediatrics  
6 Medical College of Wisconsin  
7 Milwaukee, Wisconsin

5

6

7

\* \* \* \* \*

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

## 1 P R O C E E D I N G S

2 (8:31 a.m.)

3 DR. HUDAK: Good morning, we'll get  
4 started. This is day two of the Pediatric  
5 Advisory Committee meeting. We have a morning  
6 agenda that hopefully will proceed a pace. So I  
7 think we've got all of the committee members that  
8 are likely to be here today. So if everybody has  
9 a seat at the table who is going to be at the  
10 table we can start with introductions and I think  
11 we will start with Dr. Portman. Dr. Portman,  
12 we're starting introductions with you.

13 DR. PORTMAN: Introductions. Yes, I  
14 haven't changed since yesterday. I'm still Ron  
15 Portman, Pediatric Nephrologist with Novartis  
16 Pharmaceuticals.

17 DR. TURER: Christy Turer, Combined  
18 Internal Medicine Pediatrics, UT Southwestern.

19 DR. SAYEJ: Wael Sayej, Pediatric  
20 Gastroenterologist, University of Connecticut.

21 DR. KASKEL: Rick Kaskel, Pediatric  
22 Nephrologist, Albert Einstein Montefiore.

1 DR. ANNE: Premchand Anne, Pediatric  
2 Cardiology, St. John Providence Children's  
3 Hospital.

4 DR. WADE: Kelly Wade, Neonatology,  
5 Children's Hospital of Philadelphia and University  
6 of Pennsylvania.

7 DR. CATALETTO: Mary Cataletto,  
8 Pediatric Pulmonology, Winthrop University  
9 Hospital in New York.

10 MS. MOORE: Erin Moore, patient  
11 advocate.

12 DR. WHITE: Michael White, Pediatric  
13 Cardiologist from the Ochsner Clinical School.

14 DR. JONES: Bridgette Jones, Allergy and  
15 Immunology in Clinical Pharmacology from  
16 Children's Mercy Hospital. I'm the healthcare  
17 organization representative from the AAP.

18 DR. CALLAHAN: David Callahan, Child  
19 Neurology from Washington University, St. Louis.

20 DR. BRILL: Marieann Brill, Designated  
21 Federal Officer for this meeting.

22 DR. HUDAK: Mark Hudak, Neonatologist,

1 University of Florida College of Medicine in  
2 Jacksonville.

3 DR. CNAAN: Avital Cnaan,  
4 Biostatistician, George Washington University,  
5 D.C.

6 DR. COPE: Judy Cope, Office of  
7 Pediatric Therapeutics, head of the safety team.

8 DR. NELSON: Skip Nelson, Deputy  
9 Director, Office of Pediatric Therapeutics.

10 DR. ZINDERMAN: Craig Zinderman,  
11 Division of Epidemiology in the Office of  
12 Biostatistics and Epidemiology in CBER.

13 DR. BAER: Bethany Baer, Medical  
14 Officer, CBER Division of Epidemiology.

15 MS. CHEGE: Wambui Chege, Medical  
16 Officer, CBER Division of Epidemiology.

17 DR. HUDAK: Skip, you have the floor.

18 DR. NELSON: Thanks. Just a couple of  
19 quick comments about the agenda. So we're going  
20 to start off with a couple of abbreviated  
21 presentations for those that are new to the  
22 Committee. These are the style of presentations

1 that we used to do for the CBER products that are  
2 now posted on the web. We're having some  
3 discussions with CBER about whether we transition  
4 to that process, but to date, have not yet done  
5 that. You'll also see a device which is a CBER  
6 regulated device and that will be presented by  
7 both CDRH and CBER as it transitioned from CDRH to  
8 CBER in 2007, somewhere, I have notes. Recently,  
9 anyway, it transitioned, I don't have to get the  
10 date right. And then we have our annual reviews  
11 of the HUD's. Epicel, by the way, is an HUD as  
12 well which is why it is coming and for those that  
13 are not familiar with the legislation, in an  
14 effort to try and stimulate pediatric drug device  
15 development, under it was about five years ago  
16 now. I don't know if it was under FDASIA or under  
17 a separate one. But companies can ask for the  
18 ability to earn a profit on the pediatric portion  
19 of an HUD and in that same legislation, was put in  
20 place, a review by this committee, the law  
21 requires us to do that annually. So every year  
22 with come back with HUD's that are under that

1 program of which I think there are about seven or  
2 eight at this point. So that is the agenda for  
3 today.

4 DR. HUDAK: Great. I'll turn it over to  
5 Marieann.

6 DR. BRILL: Thank you. Good morning,  
7 everyone. The following announcement addresses  
8 the issues of conflict of interests with regards  
9 to today's discussion of reports by the Agency as  
10 mandated by the Best Pharmaceuticals for Children  
11 Act and the Pediatric Research Equity Act. With  
12 the exception of the industry representative, all  
13 participants of the Committee are special  
14 government employees or regular federal employees  
15 from other agencies that are subject to the  
16 Federal Conflict of Interest laws and regulations.

17 The following information under status  
18 of the advisory committee's compliance with the  
19 Federal Conflict of Interest laws including but  
20 not limited to, 18 USC § 208 of the Federal Food  
21 Drug and Cosmetic Act is being provided to  
22 participants at this meeting and to the public.

1                   Based on the submitted agenda for the  
2 meeting and all financial interests that have been  
3 reported by the committee participants, FDA has  
4 determined that those individuals who will be  
5 participating in each topic are in compliance with  
6 federal ethics and conflict of interest laws. In  
7 order to provide the expertise required to  
8 adequately address all of the products covered at  
9 today's meeting, the following expert consultants  
10 will be participating as temporary voting members.  
11 Dr. Anne, Dr. Kaskel, Dr. Callahan, Dr. Zuppa, Dr.  
12 Kishnani and Dr. Peck. Ms. Erin Moore is  
13 participating as a patient family representative  
14 which is a voting position. Dr. Bridgette Jones,  
15 will serve as a pediatric health organization  
16 representative which is a non- voting position.  
17 Dr. Portman is participating in this meeting as  
18 the industry representative acting on behalf of  
19 all related industry. He is employed by Novartis  
20 Pharmaceuticals Corporation. Dr. Portman, is not  
21 a special government employee and does not vote.

22                   We would like to remind members and

1 temporary voting members that if the discussions  
2 involve any other products or firms not already on  
3 the agenda for which an FDA participant has a  
4 personal or imputed financial interest, the  
5 participants need to exclude themselves from such  
6 involvement. The exclusion will be noted for the  
7 record.

8 FDA encourages all other participants to  
9 advise the Committee of any financial  
10 relationships that you may have with the firms  
11 that could be affected by the committee  
12 discussions. I would like to remind the audience  
13 that the final version of the agenda and the  
14 materials that will be presented at today's  
15 meeting will be posted on the Pediatric Advisory  
16 Committee website. So any copies of the slides  
17 that you have that appear different from the ones  
18 that are on the screen will be updated.

19 For the members of the Committee and  
20 those around the table, the meeting is being  
21 transcribed and, as such, when you are  
22 acknowledged to make a statement or have a

1 question, please press the button on your  
2 microphone and state your name prior to beginning  
3 your statement. I also request all meeting  
4 attendees to turn their electronic devices to  
5 silent mode. Thank you.

6 DR. HUDAK: Can I ask Dr. Zuppa to  
7 introduce herself.

8 DR. ZUPPA: Hi it is Dr. Zuppa from the  
9 Children's Hospital of Philadelphia.

10 DR. HUDAK: And also, anyone on the  
11 phone. Is Dr. Kishnani on the phone?

12 DR. KISHNANI: Yes, I am on the phone  
13 can you hear me?

14 DR. HUDAK: Yes, very well.

15 DR. KISHNANI: Thank you, yes.

16 DR. HUDAK: So today we do not have any  
17 registered open public hearing speakers at this  
18 time. We're a little early so I'm going to ask  
19 Dr. Quinto to do his presentations and we'll come  
20 back at about nine o'clock to see if there is  
21 anyone here to do open public hearing.

22 DR. QUINTO: Good morning. I'm

1 Lieutenant Commander Ken Quinto, Medical Officer  
2 in the Office of Pediatric Therapeutics at FDA. I  
3 will be presenting the Center for Biologic  
4 Evaluation and Research, CBER, products.

5 The two CBER products presented today  
6 will have abbreviated presentations. And just to  
7 remind you, CBER abbreviated presentations mean  
8 that the forward view was performed. After the  
9 forward view, the CBER products met the criteria  
10 for an abbreviated presentation format because  
11 there are no new safety signals recognized and  
12 there are no reports specifically of pediatric  
13 deaths that would be attributed to the CBER  
14 product. The FDA would see that the products  
15 could go back to continued routine monitoring.

16 The first CBER product is Novoeight  
17 Antihemophilic Factor. It is an antihemophilic  
18 recombinant Factor VIII product and is indicated  
19 for use in adults and children with hemophilia A  
20 for the control and prevention of bleeding,  
21 perioperative management and routine prophylaxis  
22 to prevent or reduce the frequency of bleeding

1 episodes. The initiation for this pediatric post  
2 marketing safety review was the October 15, 2013  
3 initial FDA approval in both adults and children.  
4 Based on the background materials you receive; the  
5 plan would be that FDA will continue its ongoing  
6 standard safety monitoring. Does the Committee  
7 concur?

8 DR. HUDAK: So thank you. So this is  
9 open for discussion. So the materials were  
10 circulated to all about these two products. Does  
11 anybody have any questions for Dr. Quinto? Okay  
12 hearing none, we can vote on the recommendation  
13 for the FDA to continue its standard safety  
14 monitoring on this product and you can vote with  
15 your electronic buttons. I will display that on  
16 the screen and then we'll do the oral vote and get  
17 your vote, Dr. Kishnani. Okay we have the  
18 electronic vote which is, so far, unanimous. So  
19 we'll start with the oral votes and comments. Dr.  
20 Kishnani, do you want to kick it off?

21 DR. KISHNANI: I agree.

22 DR. HUDAK: And we'll start this time

1 with Dr. Cnaan.

2 DR. CNAAN: I concur.

3 DR. ZUPPA: Dr. Zuppa, I concur.

4 DR. CALLAHAN: Dr. Callahan, yes, I  
5 concur.

6 DR. WHITE: Michael White. I didn't  
7 register my vote but I concur.

8 MS. MOORE: Erin Moore, I abstain.

9 DR. CATALETTO: Mary Cataletto, I  
10 concur.

11 DR. WADE: Kelly Wade, I concur.

12 DR. ANNE: Dr. Anne, I agree.

13 DR. KASKEL: Rick Kaskel, I concur.

14 DR. SAYEJ: Wael Sayej, I concur.

15 DR. TURER: Christy Turer, I concur.

16 DR. HUDAK: The Committee is unanimous  
17 on the continued routine safety monitoring for  
18 this product so Dr. Quinto, Dr. Nelson?

19 DR. NELSON: Erin you said you  
20 abstained, okay, just clarifying, thanks.

21 DR. QUINTO: The second CBER product is  
22 Rixubis. A recombinant coagulation Factor IX

1 product indicated in adults with hemophilia B for  
2 control and prevention of bleeding episodes,  
3 perioperative management and routine prophylaxis.  
4 The initiation of the pediatric post marketing  
5 safety review occurred when the indication was  
6 expanded to include use in children on September  
7 12, 2014. Based on the background material you  
8 receive the plan would be that FDA will continue  
9 its ongoing standard safety monitoring. Does the  
10 Committee concur?

11 DR. HUDAK: Again, this is open for  
12 discussion. Dr. Cnaan.

13 DR. CNAAN: Avital Cnaan. I have a  
14 generic question to the FDA. In the previous  
15 product, there was utilization data. In this  
16 product, utilization data was redacted. What  
17 makes one review have utilization data and the  
18 next one redacted?

19 DR. ZINDERMAN: Thanks for the question.  
20 So we often ask for utilization data from the  
21 sponsor, sometimes the best source to know how  
22 much of a product was out there and potentially

1 used by patients, how much exposure there is is to  
2 find out how much of the product was distributed  
3 or put into the marketplace over a given time  
4 period. So we often go to the sponsor for that  
5 data. Under FDA disclosure regulations, we're not  
6 permitted to release that data unless we have the  
7 permission from the sponsor specifically to  
8 release it. There is actually a formal process  
9 for them to grant that permission. So in this  
10 case, for Rixubis the sponsor did not grant that  
11 permission to release that information but it is  
12 in the unredacted version of the memo that is  
13 provided to the PAC members.

14 DR. HUDAK: Any other questions? Okay  
15 so we will start again on the phone with Dr.  
16 Kishnani. Do you concur?

17 DR. KISHNANI: I concur.

18 DR. HUDAK: Thank you. Oh, we have to  
19 do the electronic. I'm sorry, I got ahead of  
20 myself. So everyone else do the electronic  
21 voting. So we will go around the room with Dr.  
22 Turer first.

1 DR. TURER: Christy Turer, I concur.

2 DR. SAYEJ: Wael Sayej, I concur.

3 DR. KASKEL: Rick Kaskel, I concur.

4 DR. ANNE: Premchand Anne, concur.

5 DR. WADE: Kelly Wade, I concur.

6 DR. CATALETTO: Mary Cataletto, I

7 concur.

8 MS. MOORE: Erin Moore, abstain.

9 DR. WHITE: Michael White, agree.

10 DR. CALLAHAN: David Callahan, I concur.

11 DR. ZUPPA: Athena Zuppa, I agree.

12 DR. CNAAN: Avital Cnaan, I concur.

13 DR. HUDAK: So for the record we have

14 eleven yeses' and one abstain in favor of this

15 recommendation.

16 Okay so we are at the position where it

17 is not yet nine o'clock for the open public

18 hearing. And we're trying to see if we can get

19 Dr. Peck on the phone to begin the discussion of

20 Epicel. So I think we have five minutes. We can

21 take a short break until nine o'clock and we'll

22 start with soliciting anyone for open public

1 hearing. If no one is here, we'll go directly to  
2 Epicel.

3 (Recess)

4 DR. HUDAK: If everybody could be seated  
5 please and stop talking. Okay we have reconvened,  
6 it is nine o'clock. This is the time for our open  
7 public hearing so we have no registered speakers.  
8 Is there anyone in the audience who would like to  
9 speak? Seeing no hands and no feet we will move  
10 to the next item on the agenda which is a  
11 discussion of Epicel. Let me verify that, and Dr.  
12 Peck, if you're on the phone, if you could  
13 introduce yourself as an expert consultant.

14 DR. PECK: Yes, good morning everyone.  
15 This is Dr. Michael Peck, I'm in Phoenix,  
16 Arizona. I am one of the associate directors of  
17 the Arizona Burn Center and I've been involved in  
18 burn care for about 30 years now.

19 DR. HUDAK: Excellent, we appreciate  
20 your expertise in this and also appreciate your  
21 being up at 6 a.m. Arizona time. So we have some  
22 other folks from around the table from the FDA if

1 you could introduce yourselves as well.

2 DR. ZHU: My name is Yao Yao Zhu, I'm  
3 the medical officer from CBER.

4 DR. MIRSAIDI: Hi, I'm Nasrin Mirsaidi,  
5 Division of Post Market Surveillance, Office of  
6 Surveillance and Biometrics.

7 DR. HUDAK: Thank you. And I think at  
8 the podium we have Dr. Alimchandani.

9 DR. ALIMCHANDANI: Yes.

10 DR. HUDAK: Is that somewhat close to  
11 how you pronounce it?

12 DR. ALIMCHANDANI: Yes.

13 DR. HUDAK: Thank you and then we'll  
14 have Dr. Mirsaidi speaking after you. So we will  
15 start the discussion on Epicel, which as you see  
16 in your menu, is an HDE, it is a cultured  
17 epidermal autograft, thank you.

18 DR. ALIMCHANDANI: Good morning. We are  
19 presenting the Humanitarian Use Device, Epicel.  
20 We have two presenters. As we introduced  
21 ourselves, my name is Meghna, I'm from the Center  
22 for Biologics. My co-presenter is Nasrin from the

1 Center of Devices.

2 So this is our presentation outline.

3 Our presentation will focus on the device called  
4 Epicel. We will describe this device, highlight  
5 key milestones in the regulatory history,  
6 summarize preapproval data, present medical device  
7 reports, focusing on the pediatric reports,  
8 discuss published literature with relevant safety  
9 information and end with FDA's recommendations and  
10 questions for the PAC.

11 So Epicel, also known as cultured  
12 epidermal autograft, is a wound dressing composed  
13 of the patients own autologous keratinocytes that  
14 are grown (inaudible). An Epicel graft, has  
15 sheets of autologous keratinocytes attached to  
16 petrol laden gauze and measures approximately 50  
17 square centimeters. Another thing to note, is that  
18 Epicel is a xenotransplantation product. This is  
19 because it is manufactured by co-cultivation with  
20 proliferation arrested mouse fibroblasts and the  
21 grafts have less than one percent

22 (inaudible) mouse cells. So

1                   Indications for use. Epicel is  
2                   indicated for use  
3                   in both adults and children with deep  
4           dermal or full thickness burns comprising a total  
5           body surface area of 30 percent and greater.  
6           Epicel can be used with or without split-thickness  
7           autografts.

8                   So this next slide highlights the key  
9           milestones in this product's regulatory history.  
10          Back in 1988, Genzyme first began marketing Epicel  
11          as an unregulated product. In 2007, the Center  
12          for Devices approved Epicel as a humanitarian use  
13          device. In 2013, Epicel was transferred was  
14          transferred from Center for Devices to the Center  
15          for Biologics. In 2014, FDA approved a label  
16          change to describe the risk of squamous cell  
17          carcinoma. We will discuss a label change at  
18          length later in the presentation. Also in 2014,  
19          there was a change in ownership and Epicel was  
20          transferred from Genzyme to Vericel Corporation.  
21          So last year, in 2016, FDA approved pediatric  
22          labeling for this product which is the trigger for

1 the PAC presentation today.

2 Preapproval data for safety and probable  
3 benefit come from two sources. Number one, the  
4 Genzyme biosurgery Epicel clinical experience and  
5 number two, the Munster study. Genzyme  
6 surveillance database covered the period from 1989  
7 to 2006. And as you can see from these numbers,  
8 the database included data on more than 1300  
9 patients who were treated with Epicel prior to  
10 approval. The survival rates were 86 percent to  
11 91 percent. The Munster study was published in  
12 1996 and was an independent physician sponsored  
13 study conducted by Dr. Munster at Johns Hopkins  
14 Burn Center. This was a prospective control  
15 style that compared the outcome of therapy in burn  
16 patients treated with or without Epicel. Genzyme  
17 collected data from the medical records of 44  
18 patients in this study and the survival rate, as  
19 you can see, was 90 percent in the Epicel group  
20 and 37 percent in the control in the group.

21 So this slide lists the adverse events  
22 following Epicel and include the following:

1 death, sepsis, infection, multiorgan failure,  
2 graft sharing, debridement, detachment, et cetera.  
3 These adverse events are typical of those seen  
4 with severe burn injuries and skin grafting  
5 procedures. Based on the preapproval data, the  
6 Center for Devices decided that Epicel met the  
7 requirements of relative safety and probable  
8 benefit in the treatment of large TBSA burns. And  
9 Epicel was approved as a humanitarian use device  
10 in 2007.

11 So although children had been treated  
12 with Epicel there was no specific pediatric  
13 labeling. Since 2007 approval, 30 percent of  
14 Epicel recipients are children. Last year, in  
15 February 2016, FDA approved pediatric labeling and  
16 the annual distribution number. I'm going to  
17 describe the ADN on the next slide. The revised  
18 label displays separate safety and probable  
19 benefit data for children and adults.

20 So the Food, Drug and Cosmetic Act  
21 allows HDE's indicated for pediatric use to be  
22 sold for profit as long as the number of

1 distributed devices does not exceed the annual  
2 distribution number. The currently approved ADN  
3 is 360,400 Epicel grafts. This is based on an  
4 average 90 grafts used per patient multiplied by  
5 4000 patients which was the target population as  
6 per HDE definition at the time of the February  
7 2016 approval. I want to take a moment here, and  
8 note, that as per the recent 21st Century Cures  
9 Act, the HDE definition of the target population  
10 has now been revised to be 8000 patients. Epicel  
11 sales have not exceeded the ADN.

12 So now, I'm going to hand it over to  
13 Nasrin, from the Center for Devices, who will  
14 present the medical device report analysis.

15 DR. MIRSAIDI: Hi everyone. My name is  
16 Nasrin Mirsaidi. I'm the MDR analyst at the  
17 Division of Post Market Surveillance, Office of  
18 Surveillance and Biometrics. I will be presenting  
19 MDR analysis related to Epicel with my focus  
20 primarily on pediatric patients. I will begin  
21 with a brief description of medical device  
22 reporting system and its limitations and then I

1 will describe the database search that we did to  
2 capture all the MDRs related to Epicel and then  
3 provide the summary of findings and analysis of  
4 the reports.

5           This slide shows the limitations of MDR  
6 data. Each year, FDA receives over one million  
7 MDRs and reporting suspected device associated  
8 deaths, serious injuries and malfunctions. FDA  
9 uses MDRs to monitor post market device  
10 performance, detect potential device related  
11 safety issues and contribute to benefit risk  
12 assessment of devices. Although MDRs are a  
13 valuable source of information and this passive  
14 surveillance system has its own limitation and has  
15 you see in this slide, we have under reporting.

16           We believe what we receive through MDRs  
17 is just a subset of all the occurrences out there.  
18 The quality of the MDRs are not that great.  
19 Sometimes we receive incomplete, inaccurate,  
20 sometimes unverified and biased data. We cannot  
21 infer cause and effects relationship from  
22 individuals report especially when the

1       circumstances surrounding the event is not  
2       verified or the device involved is not directly  
3       evaluated. In addition to incomplete numerator,  
4       we do not receive denominator data through MDR,  
5       therefore we cannot determine accurate incidence  
6       rate because of under reporting and lack of  
7       denominator.

8               Our database called System for Uniform  
9       Surveillance houses MDRs as submitted to FDA by  
10       mandatory reporters such as manufacturers, user  
11       facilities, importers as well as voluntary  
12       reporters such as health professionals, patients  
13       and consumers. For the purpose of this analysis,  
14       I searched the database with the search criteria  
15       of brand name of the device which was Epicel with  
16       no date restrictions so we could capture all  
17       existing MDRs in the database. So with this  
18       search criteria we identified 90 MDRs.

19               As you will see this graft here shows  
20       the MDRs received by year. The graft was too  
21       large for this slide so it is shrunken and you  
22       cannot see every single year. We just did 90

1 reports since 2000 and all the MDRs were  
2 submitted by manufacturers, 84 of them by Genzyme  
3 Biosurgery, 6 of them by Vericel Corporation.

4 The red columns are representative of  
5 pediatric patients and the blue column is  
6 representative of adult patients. As you see  
7 there is a peak in 2008 which we are not quite  
8 clear about this but we are guessing it might be  
9 related to the approval of Epicel for HDE in 2007.  
10 Also, there is a gap in 2009. Actually, there was  
11 a report that the date of event was 2009 but we  
12 did not see it until 2011 so that report is in the  
13 2011 receipt of MDRs.

14 Now the type of event for the 2009  
15 patients that include both pediatric and adult  
16 patients there were 76 deaths, 12 injuries and 2  
17 malfunctions. MDR reported several clinical  
18 issues, patient problems and complications for  
19 each patient. But the most reported adverse event  
20 that might be potentially related to the death of  
21 the patient is multi organ failure with 38  
22 patients, 42.2 percent of the population. Sepsis

1 was the second most reported adverse events with  
2 28 reports which was 31 percent of the 90 MDRs.  
3 The third most reported adverse event was cardiac  
4 problems such as cardiac arrest, cardiogenic  
5 shock, cardiopulmonary failure with 11 patients  
6 which was 12 percent of the 90 patients.

7 From this point, I will focus on  
8 pediatric patients. Twenty of these patients were  
9 pediatric patients and the age for the pediatric  
10 patients ranged from 2 years to 21 years with mean  
11 age of 13.4. Eight patients actually were under  
12 10 years, 6 of them between 10 and 20 years and 6  
13 between 20 and 21. Six of the 20 patients were  
14 female and 14 were male. The total body surface  
15 area of burn was reported only in 14 patients and  
16 in those 14 patients it was between 35 percent and  
17 99 percent, mean of 85 percent and median of 91.5.

18 Focusing on pediatric patient death we  
19 had 15 deaths in pediatric patients. Again, there  
20 were multiple clinical issues that was reported in  
21 the reports but the most reported adverse event  
22 that might have caused the patient death was multi

1 organ failure in a number of which sepsis and  
2 infection was the underlying cause. Other adverse  
3 events were one patient died of squamous cell  
4 carcinoma, one patient of cardiac arrest, one  
5 patient focal dermal hypoplasia, also known as  
6 Gold Syndrome, in which the Epicel was used as off-  
7 label. One patient died of mixed drug interaction  
8 and was not related to the Epicel and one patient  
9 it just said that the patient died of  
10 complications of full thickness burn, so no  
11 details about other complications.

12 Pediatric injury and malfunction  
13 reports. There were four injury reports and those  
14 four included three infection and one patient had  
15 to have a foot amputation with no details about  
16 why and how. But after getting the Epicel graft  
17 he required foot amputation. There was only one  
18 in the last 20 reports that was reported as  
19 malfunction in this report. One of the lot  
20 numbers of the grafts after the patient received  
21 the graft was confirmed to be contaminated. And  
22 the company contacted the physician, followed up

1 with the physician, informed them that the graft  
2 was contaminated but as far as they know, the  
3 patient did not have any complications, so it was  
4 submitted as malfunction.

5 Now summary of MDRs. We received 90  
6 reports from 2000, 20 of them were pediatric  
7 patients. Pediatric patients had 15 deaths, 4  
8 injuries and 1 malfunction. Most reported adverse  
9 event was multiorgan failure both in pediatric  
10 and adult patients. The mean TBSA was 85 percent  
11 in pediatric patients.

12 DR. ALIMCHANDANI: Thank you, Nasrin.  
13 Now we will go over the literature review results.  
14 (Inaudible) using the tech strings that are listed  
15 here. There were 32 articles published in the  
16 post-market period which were reviewed for  
17 relevant safety information. There was one  
18 literature case report of graft site malignancy  
19 which I will describe on the next slide. No new  
20 safety issues were identified from review of the  
21 remaining articles.

22 So this is a case report of graft site

1 malignancy involving squamous cell carcinoma. A  
2 34 year old man with 95 percent TBSA burns was  
3 grafted with Epicel, 13.5 years after grafting, he  
4 developed squamous cell cancer. What was striking  
5 about this case was the multicentric presentation  
6 and recurrent lesions. He developed SCC at five  
7 graft sites which was described in a previous  
8 publication. The 2015 publication sited here  
9 provides long term follow up on the same patient  
10 who went on to develop eight additional SCC  
11 lesions. The patients survived and is closely  
12 monitored. As mentioned previously, the label was  
13 revised in 2014 to describe the risk of squamous  
14 cell cancer and we will discuss this in greater  
15 detail on the next few slides.

16           So there are six reports of squamous  
17 cell carcinoma after Epicel use. But before I go  
18 over the cases described in this table, I want to  
19 point out that an estimated two percent of burn  
20 scars undergo malignant transformation and  
21 squamous cell cancer is the most common skin  
22 cancer to develop from burn scars. That being

1       said, there are certain distinctive features about  
2       the cases that are described in this table.

3               The first case, as you can see, dates  
4       back to preapproval data and involves off-label  
5       use in a dystrophic epidermolysis bullosa patient.  
6       DEB, is a genetic disorder characterized by  
7       chronic open wounds and non-healing ulcers. And  
8       importantly, DEB patients have an increased risk  
9       of squamous cell cancer. This patient develops  
10      squamous cell cancer a few days following Epicel  
11      grafting in 1994 and needed below the knee  
12      amputation. Of note, this case is described in  
13      the current label.

14             The second case, is a literature case  
15      report that we just went over in the previous  
16      slide. The 34 year old man who developed multiple  
17      SCC lesions and survived.

18             The third case, is a med watch report of  
19      a pediatric death. An 8 year old child with 99  
20      percent TBSA burns was grafted with Epicel. About  
21      12 years later, he developed multiple squamous  
22      cell carcinoma lesions in the abdomen, knee and

1 foot. His tumor had aggressive features and he  
2 died. This case is also described in the current  
3 label.

4 The fourth and the fifth cases were  
5 submitted by the same reported and involved  
6 patients of unknown age, unknown burn sites. One  
7 patient developed squamous cell cancer 15 years  
8 after grafting and survived, and the other patient  
9 developed squamous cell cancer 19 years after  
10 grafting and died.

11 The sixth case involved a 46 year old  
12 man with 95 percent burns who developed SCC 13  
13 years after grafting and survived.

14 So I wanted to point out the distinctive  
15 features in some of the Epicel cases. Firstly,  
16 the episode graft sites, squamous cell carcinomas  
17 developed with shorter latency periods as compared  
18 to a latency period of more than 30 years for  
19 squamous cell cancer to develop and burn scars not  
20 treated with Epicel. Some of the Epicel cases had  
21 aggressive features such as multicentric growth,  
22 large size, local recurrence and there were fatal

1 outcomes including one pediatric death.

2 On the next slides, we will show you the  
3 label change. So as I mentioned several times in  
4 2014, FDA approved revisions to the Epicel label.  
5 The revisions included three documents. The  
6 directions for use warning section, patient  
7 information document and a dear healthcare  
8 provider letter was issued by the manufacturer in  
9 June 2014.

10 There is a lot of text on this slide.  
11 This is excerpted verbatim from the current label  
12 from the directions for use warning section and  
13 there were just a couple of things I wanted to  
14 point out. So as you can see, the distinctive  
15 features of the Epicel cases such as multicentric  
16 location, large size, aggressive growth, local  
17 recurrence, fatal outcomes have been described in  
18 the label along with the shorter latency periods.  
19 And you can also see that the pediatric death is  
20 described in detail in the label. The label  
21 states that although squamous cell cancer is a  
22 known complication of burn scars in DEB, the role

1 of Epicel and the causation of SCC cannot be  
2 excluded.

3           So as we have described, Epicel is an  
4 autologous product and Epicel is also a tracked  
5 medical device. And on this slide, we wanted to  
6 present tracking data that is available in the  
7 current label in the directions for use. The  
8 tracking data is collected by the manufacturer and  
9 includes survival data. In the post-market  
10 period, 2007 through 2015, there were 120 children  
11 who were grafted with Epicel and the survival rate  
12 was 88 percent. Overall, there were 402 adults  
13 and children treated with Epicel and the overall  
14 survival rate was 81 percent.

15           FDA inclusions are presented on this  
16 slide. We have described the 2014 label revision  
17 to include the risk for squamous cell cancer.  
18 From the medical device report analysis presented  
19 earlier by Nasrin, we can see that adverse events  
20 in children and adults were consistent with the  
21 complications of severe burn injuries such as  
22 sepsis and multiorgan failure. Also keep in mind,

1 that there is a high rate of mortality in the  
2 indicated patient population with severe burn  
3 injuries. Recent U.S. data showed that more than  
4 65 percent TBSA burns are associated with 50  
5 percent case fatality. And as you have seen from  
6 the MDR data episode treated patients had severe  
7 burn injuries. In pediatric medical device  
8 reports, comprising 20 (25%) of the reports.

9 **The average pediatric TBSA was 85%.So in**  
10 conclusion, FDA did not identify any new  
11 safety signals. FDA will continue  
12 surveillance and will provide an annual update to  
13 the PAC in 2018. This presentation and executive  
14 summary for Epicel was put together by both the  
15 Center for Biologics and the Center for Devices.  
16 We thank the many people involved from both the  
17 centers and multiple offices. So we end with our  
18 question to the PAC, does the Committee agree with  
19 FDA's conclusions and recommendation.

20 DR. HUDAK: Okay we have another FDA  
21 guest at the table. Would you introduce yourself.

22 DR. PARIS: Vasum Peiris, I'm the Chief

1 Medical Officer for Pediatrics and Special  
2 Populations with the Center for Devices and  
3 Radiological Health.

4 DR. HUDAK: Thank you. So this is,  
5 maybe we can put the slide up about the  
6 conclusions and recommendations. Thank you.  
7 Perhaps before we get started around the table,  
8 I'll give Dr. Peck a chance to comment since he  
9 has had long experience with this product. Dr.  
10 Peck.

11 DR. PECK: Thank you very much. Can  
12 everybody hear me?

13 DR. HUDAK: Yes, you're very clear.

14 DR. PECK: Okay, excellent. Let me  
15 speak briefly to this just trying to put this  
16 issue into perspective. The size of the burn  
17 injury which is described as the percentage of the  
18 body's surface area is a marker of the severity of  
19 injury. And what we know is that once the burn  
20 size has gone beyond 20 percent of the body  
21 surface area there is an impairment of the immune  
22 system and an inability to deal appropriately with

1 bacterial and fungal infections.

2 In addition, there is an increased risk  
3 of multiple organ system failure, probably because  
4 of impairments of the immune system. At any rate,  
5 everything that you're seeing here is very  
6 consistent with outcomes that we know occur with  
7 large burn injuries. You're talking about a median burn  
size of 92  
10 percent. Even in otherwise healthy children, the  
11 risk of mortality is going to be very high because  
12 of the burn injury.

13 The product itself is the attempt on the  
14 part of the clinician to resolve the injury  
15 challenge to the patient. The problem is, that  
16 the immune system remains impaired until the wound  
17 is closed. So the goal is to try to close the  
18 wounds as quickly as possible.

19 Epicel is one of the approaches that are  
20 used out there for closing wounds. It is unique  
21 in the sense that it is the only cultured product  
22 that is permanent. That is to say, there are

1 other products that you can pull off the shelf. A  
2 good example is Integra. Some people call it  
3 artificial skin. Integra can be laid onto an open  
4 wound but it is not a permanent solution. You  
5 still have to take the patients skin and cover it.

6 So Epicel is truly unique, in that it is  
7 the only product that we have available to us that  
8 provides permanent coverage of the patients own  
9 skin. Having said that, it is not perfect and I  
10 think all of us were concerned in 2014 when these  
11 reports of squamous cell carcinoma came up. But  
12 as already has been pointed out, squamous cell  
13 carcinoma is not uncommon in patients who are  
14 recovering from burn injuries. It is true that  
15 the literature says that there is typically a  
16 year lag period for development but  
17 there is a huge range in there.

18 I will say that I don't think that we,  
19 in my field, have done a very good job of  
20 documenting everything we know about squamous cell  
21 carcinoma. In our unit alone, just in the last  
22 six months, we've seen three patients who have

1 developed squamous cell carcinoma in their wounds  
2 within a six to eight month period of time after  
3 injury. So development sooner than  
4 years is not unusual and the  
5 presentation times that have been presented today  
6 are not surprising to me. It is entirely possible  
7 that these cases of squamous cell carcinoma might  
8 have arisen in these patients even if they would  
9 have been covered with some other method.

10 Nonetheless, I think that the changes in  
11 labeling, the increased alertness to the concern  
12 about the squamous cell carcinoma enables us and  
13 probably gives us, the clinicians, the  
14 responsibility to communicate this to the parents  
15 of these patients before we utilize these  
16 products. But the truth of it is that you're  
17 talking about the difference between a slightly  
18 increased risk of squamous cell carcinoma several  
19 years from now, a condition that often times is  
20 easily managed versus a life threatening condition  
21 in the intensive care unit where the only  
22 alternative you may have for wound closure is

1 using CEA. So I agree with the FDA recommendation  
2 and I would be glad to answer any questions.

3 DR. HUDAK: Thank you. That is a very  
4 good and relevant clinical summary. We'll start  
5 with any questions from members around the table.  
6 Yes, Dr. Jones.

7 DR. JONES: I was wondering, do you guys  
8 have any information or maybe the speaker on the  
9 phone has any information regarding the mortality  
10 rate from squamous cell carcinoma in patients that  
11 receive Epicel compared to patients that receive  
12 other types of autologous skin grafts or other  
13 types of skin grafts. Because it seems like the  
14 squamous cell carcinoma in the patients described  
15 seem very aggressive. So do you typically see  
16 that type of aggressive squamous cell carcinoma in  
17 other patients?

18 DR. PECK: Would you like for me to  
19 answer? I'd be glad to answer that. I think that  
20 the typical squamous cell that we see arising in  
21 burns scars is a relatively benign condition that  
22 can be typically treated with local surgical

1 excision. It rarely metastasizes. Radiotherapy  
2 is rarely required for management of these  
3 problems so I would agree at least on the surface,  
4 it appears that the squamous cell that arises  
5 after episode use may be more aggressive but I  
6 don't know that there is any literature that  
7 specifically addresses that.

8 DR. HUDAK: Dr. Zuppa.

9 DR. ZUPPA: Hi it is Athena Zuppa. I  
10 want to say thank you to the person on the phone,  
11 I don't know the name. I think one of my first  
12 questions, I have a couple of questions. So  
13 mortality. So I think we always struggle with  
14 outcome metrics for interventions and it seems  
15 sometimes mortality is not the best outcome metric  
16 because the incidents of death is so low. But it  
17 seems that the incidents of death in this  
18 population is high enough that it can be used as  
19 an outcome metric. Number one, I'm curious as to  
20 why that was chosen as such an important outcome  
21 metric for this population when we're doing  
22 something to the skin. I guess it would translate

1 down to improvement in immune system, improvement  
2 in modes, improvement in overall survival. So that  
3 is my first question. I'm trying to get a sense  
4 from the room why if people think mortality is the  
5 appropriate end point for this intervention.

6 DR. PECK: That is an interesting  
7 question. Clearly if you have a patient,  
8 pediatric or adult, that has an 85 or  
9 percent burn, if the clinicians don't  
10 cover the wounds with something, that patient will  
11 die for sure. So what you are looking at then is  
12 comparing Epicel to other alternatives out there  
13 such as just widely meshed skin graft for example.  
14 I am almost positive based on my familiarity with  
15 the literature, there haven't been any randomized  
16 prospective controlled trials done of Epicel  
17 comparing it to widely meshed split thickness  
18 autograft in patients with large burn injuries.  
19 So we don't have that information but I agree,  
20 that I think mortality in this population is a  
21 very appropriate outcome measure to follow because  
22 it is very highly elevated and effective and

1       satisfactory wound coverage will make the  
2       difference between survival and mortality in these  
3       patients.

4                 DR. ZUPPA:  So then I think my follow up  
5       question would be, and you alluded to it, is  
6       whether or not information on mortality and death  
7       in patients that were treated with Epicel versus  
8       alternative strategies would be informative for us  
9       to make an assessment of its safety.  Number two,  
10      what they landscape of squamous cell carcinoma in  
11      patients not treated with Epicel looks like.  So  
12      the severity, the incidents versus those that are  
13      not and burn patients, whether or not having that  
14      -- we spoke yesterday about the need for a control  
15      group.  I don't think that was presented today but  
16      I wonder if that would be informative to make  
17      these safety decisions.

18                DR. PECK:  I don't disagree at all.  I  
19      think that would be extremely important  
20      information to have for a variety of reasons.  
21      There are problems with obtaining those data.  
22      Such studies would be, well it would require the

1 participation of many sensors across the country.  
2 The reality is, and this is a good thing, the  
3 epidemiology is that the incidents of large burn  
4 injuries in children in the United States is  
5 decreasing over time. So that is great except  
6 that if you try to do a study like this what it  
7 means is that a study could drag on for years and  
8 it would be very expensive to run. So in my  
9 specialty, we don't hold out any hope that such a  
10 study will ever be performed. Although clearly,  
11 the information from it would be extremely  
12 important.

13 As far as your question about the  
14 severity of squamous cell carcinoma in these  
15 patients I think that that on the other hand could  
16 be handled effectively with the development of a  
17 registry. A registry in which patients both adult  
18 and pediatric who are treated with Epicel are  
19 followed over a period of time and information  
20 related to the development of squamous cell  
21 carcinoma and the characteristics of the severity  
22 of the squamous cell when it does develop could be

1 gathered into that registry.

2 DR. HUDAK: Dr. Zuppa has one more  
3 question.

4 DR. ZUPPA: I'm not promising one more.  
5 I'm sorry to monopolize. So early in the  
6 presentation we heard that patients that had large  
7 body surface area burns were not necessarily  
8 candidates for this approach because you to use  
9 the own individuals skin. But yet we're hearing  
10 that the mean body surface area burn is 85 percent  
11 which means that there are kids that had greater  
12 than 85 percent of their body surface area burned.  
13 That is a lot, in my opinion, so I'm just trying  
14 figure what the cutoff is for a percent body  
15 surface area to be a candidate for this treatment.

16 And then I also wonder if there is some  
17 type of, I guess, I'm not a statistician but  
18 selection bias if we're looking at this. So it  
19 would seem that the kids that are getting Epicel  
20 based on what was initially presented that it is  
21 those that don't have large body surface area  
22 burns might be a less sick group. So it was kind

1 of contradictory in saying that the mean is 85  
2 percent. I don't know if I'm being clear on my  
3 question so I'll clarify if I need to. Anybody?

4 DR. ALIMCHANDANI: So I'll try to answer  
5 that question. The Epicel recipients are patients  
6 with large TBSA burns. They are not candidates  
7 for split-thickness autografts but they are  
8 candidates for Epicel because all you need is one  
9 skin biopsy and then you'll growing expanding the  
10 cells exuvial does that make sense? Okay so this  
11 is a very sick patient population. The indication  
12 is 30 percent and greater TBSA but the mean TBSA  
13 that we see in at least in the medical device  
14 reports is more than 85 percent for the pediatric  
15 patients. Does that answer question?

16 DR. ZUPPA: It does, I misunderstood  
17 what was said. Thank you.

18 DR. ALIMCHANDANI: Thanks.

19 DR. HUDAK: Any other questions around  
20 the table? Dr. Jones.

21 DR. JONES: Bridgette Jones. So along  
22 the lines of discussing a registry you mentioned

1       that Epicel is a tracked medical device so FDA  
2       will collect data on demographics and survival  
3       information for the device. Does that include  
4       other outcomes such as the squamous cell carcinoma  
5       and whether it is multifocal carcinoma that would  
6       allow us to make more informed decisions about  
7       this later?

8                   DR. ZINDERMAN: The tracking  
9       requirements only include what it says there to  
10      maintain the demographic information and that is  
11      really for the purpose of contacting a patient  
12      should there be a problem in the future with the  
13      products or suspicion of infection or something.  
14      They don't routinely collect adverse event  
15      information in follow up as part of that tracking,  
16      although, I understand, if the sponsor company  
17      does contact a patient or family and learns some  
18      information then obviously they would have that  
19      information and would report it if it qualified  
20      for an MDR report but it is not a routine part of  
21      the tracking.

22                   DR. JONES: Is the tracking done by the

1 sponsor?

2 DR. ZINDERMAN: Yes the tracking is done  
3 by the sponsor.

4 DR. JONES: Okay so I think a registry  
5 is a great idea especially for the pediatric  
6 patients to follow them and collect more detailed  
7 information about the outcomes they're  
8 experiencing. Especially focusing on the squamous  
9 cell carcinoma and how aggressive it is and  
10 whether it is multifocal or non-multifocal so that  
11 we can use that data and compare that to other  
12 children that have not received the same type of  
13 grafting. So I think could potentially be a  
14 recommendation to the sponsor.

15 DR. HUDAK: Dr. White.

16 DR. WHITE: If I might, once again, put  
17 in a plug. This is an HDE and the requirements  
18 for approval of an HDE are safety and probable  
19 benefit. One of the advantages of an HDE  
20 currently is in children, they can charge and make  
21 a profit on the use of these devices. One of the  
22 problems is, they are approved on the basis of

1       probable benefit and we don't have a mechanism for  
2       tracking in these devices. I would really love to  
3       see some sort of change in the way HDEs are  
4       granted that the tracking does include data going  
5       forward for benefit. That is must my five cents  
6       worth.

7                     DR. HUDAK: I don't if someone from the  
8       FDA wants to comment on the two questions from the  
9       two panelists.

10                    DR. NELSON: Let me try to help. The  
11       point about the HDE process, it would be wonderful  
12       to have more accurate tracking of all devices in  
13       all areas with much more clear information. I  
14       think our regular presentations here help you to  
15       understand the deficiencies that we have with  
16       respect to the current MDR process. That is not  
17       necessarily to say that the process is ineffective  
18       for its purpose but there are ways that a more  
19       optimal tracking system overall could be  
20       developed. That also has great cost and great  
21       resource necessities. So aside from the benefits  
22       from a clinical perspective to actually have this

1 data perfect it is difficult to create the  
2 sustainable systems that can help us and continue  
3 to collect that data.

4           The being said, going back to the  
5 registry concept, registries also have a resource  
6 cost to them. The issue is how can most  
7 effectively develop registries that assist for the  
8 needs for all stakeholders. The purpose of the  
9 registry that has been discussed here is  
10 specifically understand the squamous cell  
11 carcinoma issues and potentially from the comments  
12 earlier, to understand the variable severity of  
13 the population that is actually being treated with  
14 Epicel versus others.

15           At the FDA, at least with these devices,  
16 we're not necessarily attempting to address  
17 comparative effectiveness, so I want to clarify  
18 that. The information that is being presented to  
19 you from the MDR reports, in a sense, do present a  
20 potentially more severe picture. However, those  
21 are the major reports that we expect to receive  
22 from an MDR reporting system. We don't have the

1 baseline data of how often this is utilized  
2 without any significant issues going on as well.  
3 If there are any further questions I'd be happy to  
4 clarify.

5 DR. HUDAK: Thank you. Dr. Turer.

6 DR. TURER: Christy Turer. One thing  
7 that really struck me was reading the Munster  
8 study for two reasons. First, it was, the data  
9 really suggests a mortality benefit. On the other  
10 hand, it was conducted in 1996 and it seems to be  
11 a stand-alone study without any follow up. If one  
12 were to compare a drug trial in which somebody did  
13 a single site study with the few patients that  
14 they did and used that as a basis for your risk  
15 benefit analysis, people would go, whoa, wait a  
16 minute. So I think the standards of care in burn  
17 patients have changed significantly over the past  
18 20 years and I do think it would bear coming back  
19 to understand what is the current state of  
20 mortality related to burns stratified by how much  
21 surface area is affected.

22 DR. HUDAK: So perhaps, Dr. Peck, maybe

1       you can comment and illuminate us on this. In  
2       terms of pediatric patients with TBSA's greater  
3       than 30 percent who are eligible for Epicel, what  
4       is the percent of these patients in which Epicel  
5       is used and how might that be stratified by TBSA.

6                 DR. PECK: Well that is a great  
7       question. The use of Epicel at this point in time  
8       is dependent upon the experience and preferences  
9       of a clinician that is treating the patient.  
10       Quite honestly, not everybody is in love with  
11       Epicel. It is very sensitive to bacterial  
12       colonization of the wound and it is very easy to  
13       lose these grafts. And then when the wounds do  
14       heal, they tend to heal with a fairly significant  
15       amount of hypertrophic scarring. It is not a  
16       perfect product. Some people feel that it is the  
17       best option.

18                Many of us combine it with other  
19       modalities for wound closure. For example, what  
20       we do is we will take the patient's own skin, take  
21       a split-thickness graft. We'll mesh it widely,  
22       for example, four to one expansion and we'll apply

1 the meshed skin graft to the wound and then we'll  
2 lay Epicel over that as an attempt to help the  
3 interstices and the meshed graft heal more  
4 quickly. We don't usually use it as a stand-alone  
5 product. Some people do.

6           So there is a great deal of variation  
7 out there and the application of the use for this  
8 product and consequently a great deal of variation  
9 in the indications that clinicians have for using  
10 it. Some people would not use it unless there  
11 clearly was no hope of using the patient's own  
12 donor sites as a source of grafting material. So  
13 when you talk about patients with 85 percent, 90  
14 percent of the body surface area burned, you're  
15 often talking about patients who don't have any  
16 usable donor sites. Maybe the only areas that  
17 aren't burned are places like the soles of the  
18 feet or the groin or the face, places where you  
19 really can't harvest skin from so you're left  
20 without any options for skin closure except to go  
21 to Epicel.

22           On the other hand, as we saw a few

1 minutes ago, at the lower range of the spectrum,  
2 you have patients with burns as low as 35 percent  
3 who are being treated. Clearly, at least in my  
4 mind, that suggests that that was done at an  
5 institution where there were clinicians who were  
6 very comfortable with the use of Epicel who had  
7 confidence in it and who believed that the outcome  
8 they achieved with it was satisfactory.  
9 Therefore, they felt that it was preferable to use  
10 Epicel rather than to harvest the patient's donor  
11 sites and use his or her own skin.

12           So I think that you can say I think  
13 without question that when you start talking about  
14 patients with burns more than 50 or 60 percent of  
15 the body surface area, that you're talking about  
16 patients with limited donor sites who would be  
17 excellent candidates for Epicel. Then when you  
18 get up into the 80 to 90 percent range, you're  
19 talking about patients for whom, perhaps, the only  
20 alternative for wound coverage is Epicel.  
21 Although 50 percent, I think that it is up to the  
22 clinicians to decide that whether the results if

1       they believed is ill obtained from using the  
2       Epicel such as with the sandwich technique that I  
3       described, are going to be preferable to not using  
4       the Epicel.

5                   I don't know if that helps any. I tell  
6       you, there is not a lot of science to this field.  
7       We do not know as much as we need to know or  
8       should know about wound coverage in these  
9       patients.

10                  DR. HUDAK: Thank you that was helpful.  
11       Dr. Nelson.

12                  DR. ALIMCHANDANI: Just to add one more  
13       comment about the recent data. So the 2016  
14       National Bone Repository data that I showed on  
15       slide 25 that says that 50 percent case fatality  
16       for more than 65 percent TBSA burns. So this is  
17       pretty recent data. It was a ten year period from  
18       2006 to 2015 that the numbers were based on.

19                  DR. NELSON: Just a couple of quick  
20       comments. I think it is important to keep in  
21       mind, the standard for approving a humanitarian  
22       use device of probable benefit which is a very

1 different standard than either approval of devices  
2 outside of humanitarian use or for the drug  
3 approvals. And I don't think anyone would doubt  
4 that covering a burn with something is a probable  
5 benefit and the Munster study demonstrated that.

6           It is an interesting question whether  
7 standards of care would change so quickly that, in  
8 fact, you would no longer have probable benefit  
9 but I doubt in the case of burns of the severity  
10 that have been mentioned at that level, that that  
11 would be the case. You still need coverage and  
12 from what I'm hearing there is a lot of clinician  
13 variability. And so part of what also needs to be  
14 factored in here is the sort of clinician decision  
15 making which in many ways parallels the use of  
16 drugs as well. There is a lot of variability  
17 separate from how things might be labeled and  
18 whether or not someone would only use it in  
19 individuals who have no other sites to harvest  
20 sounds like it would be a clinical decision  
21 making.

22           I won't mention the product because you

1 haven't been cleared but prior to joining FDA, I  
2 was on a panel and asked to vote on whether  
3 something should be approved as an HUD and that  
4 was I think, twelve patients. There was a lot of  
5 controversy, the decision was finally yes, but it  
6 was the size of those kinds of studies are quite  
7 small and I frankly was struck by the robustness  
8 of this in the humanitarian use device domain  
9 which is a very different domain than drug  
10 approvals or even standard device approval.

11 DR. HUDAK: Any other comments? So  
12 before we bring up the slide for the question on  
13 the recommendation on this, I think I can  
14 summarize, I think it is the sense of the  
15 Committee that this appears to be -- well first of  
16 all, it is not the purpose of the Committee to  
17 comment on the decision to grant an HDE. We're  
18 purely looking at the FDA recommendation there.  
19 But it is the Committee that there could be  
20 additional information developed about the  
21 squamous cell carcinoma question. We recognize  
22 the FDA doesn't have any regulatory power to

1       compel that to happen but certainly there is a  
2       persuasive element to what the FDA can do in this  
3       regard and perhaps that might be something that is  
4       communicated with the sponsor of the HDE. Anybody  
5       else have comments.

6                 If not, we will vote on the FDA  
7       recommendation on the screen which is to continue  
8       to the surveillance and report back in 2008 to us  
9       about the distribution use and the results of the  
10      MDR literature review on Epicel safety and  
11      survival issues. So we'll vote electronically.  
12      Dr. Peck and Dr. Kishnani, we'll pick you up  
13      orally at the end of that. Dr. Nelson.

14                DR. NELSON: Just while people are  
15      voting, I would like to ask a question. After the  
16      vote, make sure Dr. Peck doesn't hang up too  
17      quickly.

18                DR. PECK: I heard that, thank you.

19                DR. HUDAK: I just want to make sure  
20      everybody had a chance to electronically vote who  
21      will. Okay we'll do the oral voting. We'll start  
22      on the phone. Dr. Kishnani first.

1 DR. KISHNANI: I concur.

2 DR. HUDAK: Dr. Peck.

3 DR. PECK: I concur.

4 DR. HUDAK: And we'll go around the  
5 table starting with Dr. Turer.

6 DR. TURER: I concur and it sounds like  
7 if the mortality rate is now 65 percent that that  
8 has improved from the 37.5 percent in the Munster  
9 study and still below the rate of survival in the  
10 current data with Epicel at 88 percent. So, I  
11 concur.

12 DR. SAYEJ: Wael Sayej, I concur.

13 DR. KASKEL: Rick Kaskel, I concur.

14 DR. ANNE: Dr. Anne, I concur.

15 DR. WADE: Kelly Wade, I concur.

16 DR. CATALETTO: Mary Cataletto, I  
17 concur.

18 MS. MOORE: Erin Moore, I agree.

19 DR. WHITE: Michael White, I agree.

20 DR. CALLAHAN: David Callahan, I agree.

21 DR. ZUPPA: Athena Zuppa, I agree but I  
22 just would like to also say if possible, it would

1 be great to also have information on patients who  
2 received Epicel and did not have adverse events  
3 and patients who are not receiving Epicel, thanks.

4 DR. CNAAN: Avital Cnaan, I concur.

5 DR. HUDAK: Okay that is a unanimous  
6 endorsement of continued monitoring of Epicel.

7 And Dr. Nelson, you have a question for Dr. Peck.

8 DR. NELSON: It somewhat builds on what  
9 Athena Zuppa mentioned because I gathered from  
10 your comment, Dr. Peck, about recently having seen  
11 squamous cell carcinoma, for example, within eight  
12 months et cetera. Part of the problem is even if  
13 FDA could explore and perhaps did have the  
14 authority to ask the sponsor to track adverse  
15 events or squamous cell carcinoma in those who  
16 received Epicel, really the difficulty there is  
17 understanding that relative to a comparator which  
18 would be those who had a similar severity of burns  
19 and received other products or other mechanisms  
20 but also Epicel didn't develop that. From your  
21 comment, I gather you're skeptical, at least in  
22 this point in time, about the sort of reporting in

1 the literature and the like of squamous cell  
2 carcinoma across burn centers. And so to try and  
3 get a handle on that sounds like would be a much  
4 larger question than simply asking the sponsor to  
5 track squamous cell carcinoma in Epicel  
6 recipients. Is that a fair interpretation of your  
7 remark?

8 DR. PECK: So if I understand the  
9 question, what I'm hearing is how challenging  
10 would it be to gather all of the epidemiological  
11 information that we need about the development of  
12 squamous cell carcinoma in scars. Is that  
13 correct?

14 DR. NELSON: That is a nice summary of  
15 my longer question.

16 DR. PECK: Well, I tell you, I think it  
17 would be very difficult because many of these  
18 patients end up going to other practitioners to be  
19 seen for the problems that develop. So you can  
20 imagine, it is now 15, 20 years after somebody has  
21 been burned. They may have moved out of town.  
22 They now have breakdown in their skin. They go to

1 a dermatologist. The dermatologist does the  
2 biopsy, creates the treatment plan for them,  
3 they're never again seen by a burn doctor for this  
4 problem. So the person who applied the Epicel is  
5 no longer in contact with the patient. The  
6 institution that applied the Epicel no longer has  
7 contact with the person. You would have to depend  
8 entirely on the willingness of the patients who  
9 had had the Epicel applied to them to provide that  
10 follow up information. I don't know that much  
11 about this field but it seems to me that that  
12 would be an almost impossible task.

13 DR. NELSON: Well as a follow up, I'm  
14 assuming that part of the tracking here is because  
15 it is xenograft which is a fairly standard  
16 tracking. So it is possible that individuals who  
17 receive it might be motivated. Part of the  
18 difficulty is it sounds like the comparator group.  
19 It would be unclear how you would interpret those  
20 data from your comments about the experience in  
21 those who have not received Epicel. So I'm asking  
22 as much about the complexity of the comparator

1       which sounds like it would be even more difficult.

2                   DR. PECK:  I agree with you.  The  
3       American Burn Association which is our National  
4       Medical Association for burn care providers does  
5       maintain a national burn repository in Chicago  
6       which tracks information primarily on the acute  
7       care of burn patients typically after patients  
8       have recovered a year from their injuries, they  
9       tend to fall out of the system.  So we have no  
10      long term way of tracking that comparator group  
11      that you're talking about.  There would have to be  
12      a mechanism established for doing that.

13                  DR. HUDAK:  Okay I think that it still  
14      would be valuable to have information even if  
15      there isn't a comparator group just for natural  
16      history and counseling purposes for these  
17      patients.  So recognizing that it is difficult,  
18      whatever information can be compiled would be  
19      helpful.

20                  DR. PEIRIS:  Can I add one quick comment  
21      to this discussion?

22                  DR. HUDAK:  Sure.

1 DR. PEIRIS: This is just a little bit  
2 more of a global topic. But since this is a  
3 concern and an issue that comes up regularly  
4 during the PAC meetings with respect to the  
5 information and data that we have for tracking  
6 these devices. And truly beginning to understand  
7 potential comparative effectiveness issues and  
8 also beginning to understand whether these devices  
9 are making a significant potential benefit to  
10 patients. I want to let everybody know that CDRH  
11 is currently in process in developing what we call  
12 NESHT, the National Evaluation System for Health  
13 Technologies. The intent here is to develop a  
14 much more robust system both for understanding  
15 products once they're on the market and being able  
16 to have information about those products that are  
17 relevant to all stakeholders including industry,  
18 FDA regulators and clinicians. So systems like  
19 this, the concept of systems like this are partly  
20 what we are discussing here. So that process is  
21 going forward.

22 DR. HUDAK: Thank you, good to know and

1 we welcome that. So let me just sort of do a  
2 quick sort of roll call here. Our next item on  
3 the agenda is the review of the Medtronic Activa.  
4 Do we have all of the FDA people here who - - oh,  
5 Dr. Peck, thank you very much. We've come to the  
6 conclusion for the part of the meeting for which  
7 you were mandatorily invited. You're free to hang  
8 up.

9 DR. PECK: Thank you very much for the  
10 opportunity to speak with you today about this  
11 product and I greatly appreciate all of the time  
12 and effort that you all are putting into it.  
13 Thank you and have a very nice day.

14 DR. HUDAK: Thank you, bye now. So  
15 Medtronic, do we have FDA staff here prepared? So  
16 we'll see how the morning goes and see how  
17 efficient these presentations are to see if we can  
18 motor through the remaining three or whether we'll  
19 have to take a break at some point. One other  
20 announcement here is the CDs that you received,  
21 Pam will be collecting those so be sure you turn  
22 those into her before the end of the meeting.

1 That would be good. So who is coming to the  
2 podium Dr. Miller? No, Mr. Miller, sorry.

3 All right let's start with having the  
4 FDA staff here at the table introduce yourselves  
5 for us and then Mr. Miller.

6 MR. MARJENIN: Hi my name is Timothy  
7 Marjenin, I'm the Chief of the Neurostimulation  
8 Devices Neurology branch in the Office of Device  
9 Evaluation in CDRH.

10 MS. MILLIN: Hello I'm Courtney Millin.  
11 I'm an adverse event analyst with the neurology  
12 devices within CDRH.

13 MS. BAYDOUN: Hi my name is Hind  
14 Bajdoun. I'm an epidemiologist in the Division of  
15 Epidemiology at CDRH.

16 MR. MILLER: Good morning, I'm Andrew  
17 Miller and I'm MDR analyst in the Office of  
18 Surveillance and Biometrics within the Center for  
19 Devices and Radiological Health. I'll be  
20 presenting the annual safety update on the use of  
21 the Medtronic Activa Neurostimulator for treatment  
22 of dystonia in pediatric patients. This is the

1 fourth time this device has been reviewed by the  
2 panel.

3 The Activa system consists of three main  
4 components including a Neurostimulator, extension  
5 and lead. The implanted Neurostimulator is the  
6 power source for the system. This small pacemaker  
7 like device contains a battery and its programmed  
8 to send electrical signals to manage dystonia  
9 symptoms.

10 The extension is an insulated wire  
11 placed between the scalp and the skull that  
12 connects to the lead and runs behind the ear, down  
13 the neck and into the chest below the collarbone  
14 where it connects to the neurostimulator. The  
15 lead is a set of thin wires covered with a  
16 protective coating that carries the stimulation  
17 signal to the electrodes that deliver the signal  
18 to the brain. Part of the lead is implanted  
19 inside of the brain; the rest of the lead is  
20 implanted under the skin of the scalp.

21 The Activa Neurostimulator was  
22 originally approved for the treatment of

1        Parkinsonian tremor in 1997 and subsequently  
2        received HDE approval in 2003 for the treatment of  
3        dystonia in adults and pediatric patients, seven  
4        years of age or older. The specific dystonia  
5        indications for use are provided on this slide.

6                The HDE was approved with an annual  
7        distribution number of 4000 devices. A total of  
8        836 devices were implanted in 2016, 139 of which  
9        were implanted in pediatric patients. There were  
10       3440 active implants in 2016 including 581 active  
11       pediatric implants.

12               Many or most of you are likely familiar  
13       with CDRH adverse event reports or MDRs. This  
14       slide provides a brief reminder of the limitations  
15       of MDR data. Although MDRs are a valuable source  
16       of information, this passive surveillance system  
17       has limitations including under reporting and data  
18       quality issues. Additionally, the incidents or  
19       prevalence of an event cannot be determined from  
20       MDRs alone due to potential under reporting of  
21       events and lack of information about frequency of  
22       device use. Finally, it is not possible to

1 definitively determine a causal relationship  
2 between an event and the device based on MDR data  
3 alone.

4           The MDR database houses MDRs submitted  
5 to the FDA by mandatory reporters including  
6 manufacturers, importers and device user  
7 facilities as well as voluntary reporters such as  
8 healthcare professionals, patients and consumers.  
9 For the purpose of this analysis, the MDR database  
10 was searched by a date report entered, brand name,  
11 product codes and presubmission number. Using  
12 the search criteria, we identified 324 MDR's  
13 pertinent to the dystonia indication.

14           For comparative purposes, the total  
15 number of MDRs for each PAC data set is presented  
16 in this table. The dates included in each PAC  
17 reporting period are presented below the table.  
18 Please note, that the 2014 PAC included more than  
19 one year of data. Also, note that the PAC  
20 reporting periods do not coincide with calendar  
21 years. The total number of MDRs included in the  
22 2017 PAC data set is roughly the same as last

1 year's data set. There were 198 MDRs associated  
2 with adult patients and 68 MDRs in which the  
3 patient age was not reported and could not be  
4 determined.

5 In all PAC data sets, the majority of  
6 the MDRs were associated with adult patients.  
7 Within the 2017 PAC data there were 169  
8 malfunction reports, 154 injury reports and 1  
9 death report. A single report was associated with  
10 an adult patient and no pediatric deaths were  
11 reported. In the 2017 PAC data set, there were a  
12 total of 58 pediatric MDRs associated with  
13 patients ranging in age from 5 to 21 years old.  
14 The percentage of pediatric reports within the  
15 2016 and 2017 PAC data sets was very similar. The  
16 average pediatric age was 14.4 years compared to  
17 an average pediatric patient age of 15.7 years in  
18 the 2016 PAC data set.

19 Although the majority of pediatric MDRs  
20 reported on label use of the device, it should be  
21 noted that off-label use of the device in patients  
22 under the age of 7 was reported in a

1                   year old patient and a 6 year old  
2 patient in the 2017 PAC data. The 5 year old  
3 patient experienced skin erosion at the  
4 neurostimulator pocket which required device  
5 explant. Additionally, the 6 six year old patient  
6 experienced an infection that resulted in device  
7 explant. Both on-label and off-label MDRs were  
8 included in this analysis.

9                   The majority of MDRs originated from  
10 inside the U.S. This is consistent with the  
11 reporting pattern seen in 2014, 2015 and 2016 PAC  
12 data sets. A more in depth review was conducted  
13 on the 58 MDRs associated with pediatric  
14 patients. The pediatric reports were individually  
15 reviewed to identify events which were clinically  
16 significant or concerning as defined by CDRH  
17 clinicians and reviewers. This table shows these  
18 clinically concerning adverse events and how  
19 frequently they were reported. I will discuss  
20 each of these events in detail in a moment. It is  
21 important to note that a single MDR may be  
22 associated with more than one patient problem,

1       therefore, more than one contributing factor may  
2       have been associated with each of the events  
3       presented in the table.  Additionally, a unique  
4       event may be associated with multiple MDRs since  
5       patients are often bilaterally implanted or  
6       reports can be received from multiple sources such  
7       as a voluntary reporter as well as a manufacturer.

8                 All twelve MDRs reporting device  
9       replacement, also reported device explant.  In the  
10       twelve MDRs that reported both device explant and  
11       replacement, the most frequently reported patient  
12       problems were battery charging issues and lead  
13       fracture.  Time to replacement couldn't be  
14       calculated in seven of the twelve MDRs and ranged  
15       from the day of implant to 5.1 years after implant  
16       with an average time to replacement of about 17  
17       months.  There were twelve MDRs that reported  
18       device explant without device replacement.  
19       Devices were explanted due to infection, battery  
20       charging issues, skin erosion, decubitus ulcer and  
21       lack of therapeutic benefit.

22                 Worsening or return of dystonia symptoms

1 was associated with several different device  
2 problems. The reported problems that contributed  
3 to worsening or return of symptoms are provided on  
4 this slide. The most frequently reported  
5 contributors were battery charging issues and  
6 impedance issues. These issues resulted in device  
7 explant and unknown or unresolved patient outcome.

8 There were 14 pediatric MDRs related to  
9 battery and/or charging issues. These reports  
10 were associated with a variety of contributing  
11 factors which are presented on this slide. These  
12 battery charging related issues resulted in device  
13 replacement, no known impact on patient and loss  
14 of therapy. Patient outcome was unknown in seven  
15 MDR's.

16 There were 8 pediatric MDRs reporting  
17 infection. Limited information was provided on  
18 the potential causes of the infections reported in  
19 the MDRs. The only organism identified within  
20 the MDRs reporting infection was staphylococcus  
21 aureus. The remaining MDRs associated with  
22 infection, did not report a specific organism.

1 The location of the infections was reported in  
2 five of the eight MDRs and included three pocket  
3 site pulse generator infections and two lead site  
4 infections. The location of the infection was not  
5 reported in three MDRs. All of the infections  
6 resulted in full or partial device explant and two  
7 patients subsequently had their device replaced.

8           There were eight pediatric MDRs  
9 associated with electromagnetic interference or  
10 EMI. The reported sources of EMI included  
11 exposure to a computer tablet on a wheelchair  
12 using software with a digital imaging system that  
13 puts out ultrasonic waves as part of a class,  
14 security gates at a school library, security gate  
15 at an unknown location, working with magnets at  
16 school and unknown sources. The impact of EMI on  
17 the device is unclear based on the limited  
18 information provided in the MDRs. The  
19 information in the MDR suggests that EMI may be  
20 inadvertently changing device settings or turning  
21 off the device.

22           Potential growth related issues were

1 reported in five MDRs and the reported issues are  
2 presented on this slide. The ages of the patients  
3 associated with these reports range from 9 to 12  
4 years old. Time to event from device implant date  
5 was able to be calculated in three of the MDRs  
6 and ranged from 2.2 years to 5.2 years. There  
7 were five MDRs associated with lead break or  
8 fractures. Three of these MDRs resulted in  
9 device replacement and in two MDRs it is unknown  
10 if or how the issue was resolved. The types of  
11 lead break fracture are presented on this slide.

12 In summary, a total of 58 MDRs were  
13 associated with use of the Activa Neurostimulator  
14 in pediatric patients. Infection and return or  
15 worsening or dystonia symptoms with the most  
16 frequently reported pediatric patient problems,  
17 the labeling does address the issue of symptom  
18 return or worsening and these events are known to  
19 occur with use of other neurostimulators. Other  
20 reported patient problems included infection and  
21 patient growth related issues are noted in either  
22 the device labeling or clinical summary.

1                   The most frequently reported device  
2                   problems were battery charging issues and  
3                   impedance issues. Very limited information on the  
4                   battery charging issues was provided within the  
5                   MDR's. The device labeling states that issues  
6                   with open circuits, such as high impedance, can  
7                   occur without warning and impedance issues are  
8                   also known to occur in other neurostimulators.  
9                   Other problems such as charging issues, lead  
10                  fractures or EMI that occurred within the MDR's  
11                  are either noted in the device labeling or known  
12                  device issues with neurostimulator devices in  
13                  general.

14                 As opposed to the 2016 PAC dataset, no  
15                 MDRs associated with pediatric stroke or  
16                 cognitive changes were reported within the 2017  
17                 PAC data set. No new patient or device problems  
18                 were identified in the 2017 PAC data when it was  
19                 compared to previous years.

20                 I will now present information on the  
21                 systematic literature review completed by the  
22                 Division of Epidemiology. A literature review was

1 performed to evaluate adverse events following use  
2 of Activa for primary dystonia in pediatric  
3 patients. A string of search terms, identical to  
4 what was used in the previous literature reviews,  
5 was used search Pubmed and EMBase databases for  
6 the 12 month period. Articles were only included  
7 if they were reported on outcomes specific to  
8 primary dystonia and within pediatric populations.  
9 The search yielded 15 articles, 14 of which were  
10 excluded for the various reasons listed on this  
11 slide. There was only one article that met our  
12 criteria.

13 A retrospective chart review involving a  
14 case series by Krause et al examined a long-term  
15 safety of palatal DBS in eight pediatric patients.  
16 The main reason for surgical intervention or  
17 revision after successful implantation was the  
18 replacement of the IPG after battery expiration  
19 necessitating ten replacements in four patients.  
20 One patient needed revision of the IPG due to  
21 dislocation 11 years after the initial electrode  
22 implantation. One patient underwent bilateral

1 electrode revision three years after the initial  
2 palatal DBS.

3 Stimulation induced dysarthria limited  
4 further increase of stimulation amplitude in two  
5 patients and bradykinesia was induced by DBS in  
6 one severely affected patient with high  
7 stimulation amplitudes. Finally, one patient  
8 underwent several orthopedic surgeries due to  
9 severe contractors and musculoskeletal deformities  
10 resulting from long disease duration before DBS  
11 surgery.

12 In summary, no novel safety event was  
13 detected in the literature published since the  
14 last PAC. These findings are consistent with the  
15 conclusions from the systematic review conducted  
16 for the previous PAC meetings.

17 In summary, the FDA recommends continued  
18 surveillance and will report back to the PAC in  
19 2018. Does the Committee agree with the FDA's  
20 conclusions and recommendations?

21 DR. HUDAK: Thank you. This is now open  
22 for discussion. Questions, this is the fourth

1 time but the first time for you, Dr. Zuppa, so  
2 please go ahead.

3 DR. ZUPPA: Just a quick question. Do  
4 you if those infections were at the skin site or  
5 if they were like full or meningitis and if they  
6 went into the central nervous system.

7 MR. MILLER: I don't think there was any  
8 information related to that in the MDRs but the  
9 location was listed. I can repeat that is you'd  
10 like.

11 DR. ZUPPA: So skin site?

12 MR. MILLER: So at the skin site? I'm  
13 sorry.

14 DR. ZUPPA: I'm just wondering because  
15 if it is a path that leads from the skin into the  
16 central nervous system, whether or not those  
17 infections extended into the central nervous  
18 system.

19 MR. MILLER: That information was not  
20 provided in the MDRs.

21 DR. ZUPPA: Okay.

22 DR. HUDAK: Dr. Cnaan.

1 DR. CNAAN: Avital Cnaan. I have  
2 question of the data from year to year. Could you  
3 identify if the same patient had a battery  
4 malfunction, whatever, in two different years or  
5 there is no way for them to find that?

6 MR. MILLER: I don't think there would  
7 be a way for them to definitively determine that  
8 in the MDRs data.

9 DR. CNAAN: Okay.

10 DR. HUDAK: Dr. Wade.

11 DR. WADE: Kelly Wade. Can you tell us  
12 if there was a difference year to year among the  
13 number of such things such as lead fracture or the  
14 electromagnetic interference? It seems like there  
15 are a couple of these MDRs that are in areas that  
16 over time may become less or over time could  
17 become more. So in these individual components is  
18 there a change over time?

19 MR. MILLER: We did not notice an  
20 increase or decrease really in any of the trends  
21 for the different adverse events but I could go  
22 back and try and look at the data to compare if

1 that is necessary.

2 DR. HUDAK: Dr. Sayej.

3 DR. SAYEJ: Perhaps one of my concerns  
4 here is looking at the data that is presented and  
5 looking at the number of malfunctions and number  
6 of injuries from 2014, 2015,  
7 and 2016, year over year there has been an  
8 increase in the number of events reported.

9 Overall, over the past four years there is  
10 definitely in the percentage of cases there is  
11 definitely an increase in these events as well. I  
12 understand that the injury issue is probably due  
13 to the physicians or part of the medical care but  
14 the malfunction events, is the company doing  
15 anything to address those issues. For example,  
16 the battery issue or the leak from the leads or  
17 any of these malfunction issues. What is the  
18 company doing about those things.

19 DR. MILLIN: So this is Courtney Millin,  
20 I'm going to take that question. So first of all,  
21 we can comment on what we're doing at FDA and we  
22 have some knowledge of what the company is doing

1 but we can't really speak to what they are doing  
2 as much. Just to sort of put this in context, we  
3 can't really compare the incidents or the  
4 percentages by year because there could be under  
5 reporting, there could be overreporting. So  
6 there are a lot of limitations in comparing those  
7 numbers. But we had a similar concern noticing  
8 that there is like a greater number and we feel  
9 that that could be because there is just a greater  
10 number of patients that are being implanted over  
11 time. So that was our take on it. We don't see  
12 any differences in the types of events that are  
13 occurring and this is a humanitarian use device.  
14 So I think from our perspective it is very similar  
15 to what we saw last year and the previous year. I  
16 don't know if that helps. Do you have any other  
17 questions on that?

18 DR. HUDAK: Dr. Anne. Oh sorry, go  
19 ahead.

20 DR. SAYEJ: Still just based on the  
21 number provided and the number of MDRs reported  
22 and the number of events, 14 to 20 percent of

1 malfunction rates seems pretty high to me. I'm  
2 not sure what is acceptable based on FDA policies  
3 but that seems to be quite high.

4 DR. MILLIN: Well, we try to weigh the  
5 risks and the benefits and we don't regulate the  
6 practice of medicine and so it is nice to have  
7 something that people can use if other things have  
8 failed. This isn't like a frontline thing that  
9 people would try in these patients. I don't know  
10 if you have anything else to add.

11 MR. MARJENIN: I mean when you're  
12 thinking about the types of free clinical testing  
13 that is done, so you're going to have non-clinical  
14 testing that is done to demonstrate a reasonable  
15 assurance of safety from say an electromagnetic  
16 interference perspective or other typical bench  
17 testing perspectives. And year to year there may  
18 not really be any actual updates to the device  
19 itself so just because we're coming here before  
20 the Committee year to year, there may not be any  
21 changes to the device year to year.

22 So in some cases, the devices that we

1       could be talking about here, they could have been  
2       implanted several years ago and we're just seeing  
3       events now. They may be from slightly older  
4       versions of the device or they just may be the  
5       version that was approved. So I think and just to  
6       echo what Courtney was saying, it is kind of hard  
7       to put -- I mean thinking about it in the context,  
8       you are talking about a relatively small number of  
9       patients out there anyways and a relatively small  
10      number of events and it is still subject to all  
11      the limitations of the MDR reporting system.

12                 So I think the important thing just to  
13      echo what has been said already is that year to  
14      year while you may see a slight variation in the  
15      number we certainly haven't been seeing any spikes  
16      in the numbers of events that have been reported  
17      and we haven't been seeing any new types of events  
18      that have been reported so that's why we feel  
19      pretty confident in our recommendations.

20                 DR. PEIRIS: I'd like to perhaps address  
21      the question unless -- I want to acknowledge and  
22      appreciate the point that you're making that these

1 trends sometimes can certainly seem like there is  
2 a greater issue developing. I think as we pointed  
3 out already there are deficiencies with respect to  
4 the MDR reporting system which we've continued to  
5 be very clear and transparent about. We also  
6 understand that some of these devices, the  
7 duration of implant may be increasing as well so  
8 you want to incorporate that into your  
9 considerations of numbers increasing. I think you  
10 already very clearly stated the overall numbers of  
11 implants and adverse events are relatively small.  
12 We also have to clarify the severity of the type  
13 of event that is occurring. So a number of issues  
14 are a little difficult to be a little more  
15 poignant about on when trying to assess whether  
16 this is a significant factor increase or not.

17 DR. CNAAN: Avital Cnaan. I kind of  
18 want to echo the concern. The limitations of the  
19 system are what they are and clearly we don't know  
20 if these reports are from devices that, by this  
21 point, are older that may explain the increase. I  
22 recognize that. With that said, I think asking

1 maybe the sponsor if they are doing anything to  
2 consider some improvements in the device that  
3 there is a lower number at least in the newer ones  
4 of these device malfunctions. Maybe the FDA  
5 should consider that at least. If it is all the  
6 older devices, fine. I think that the sponsor  
7 might know if these are the older devices.

8 DR. HUDAK: I think I recall in a past  
9 meeting that there was some focus by the  
10 manufacturer on some of these issues related to  
11 device function and they had made some  
12 improvements and I think time will tell whether or  
13 not, for instance, battery issues and so forth.  
14 There will be some perceptible decline in the  
15 number of incidences or extended lifetime of the  
16 battery. That remains to be seen, I guess.

17 Any other questions? If not, we will do  
18 the electronic voting on the recommendations on  
19 the screen in front of you. Okay everybody  
20 apparently has voted. So we'll start, Dr.  
21 Kishnani, with you.

22 DR. KISHNANI: I concur.

1 DR. HUDAK: And we'll go around the  
2 table starting with Dr. Cnaan.

3 DR. CNAAN: I concur with the  
4 hesitations that I expressed.

5 DR. ZUPPA: Dr. Zuppa, I concur.

6 DR. CALLAHAN: David Callahan, I concur.

7 DR. WHITE: Michael White, I agree.

8 MS. MOORE: Erin Moore, agree.

9 DR. CATALETTO: Mary Cataletto, I  
10 concur.

11 DR. WADE: Kelly Wade, I concur.

12 DR. ANNE: Premchand Anne, I concur.

13 DR. KASKEL: Rick Kaskel, I concur.

14 DR. SAYEJ: Wael Sayej, I concur with  
15 the reservations I mentioned earlier. I think  
16 that there are 581 devices implanted in children  
17 over the period of time and there were 122  
18 reported injuries and 82 reported malfunctions.  
19 That equates to about 35 percent of the cases  
20 based on this data. To me, that is a high number  
21 and I hope that the FDA will address this with the  
22 manufacturer and see if they're doing anything

1 about it to correct this.

2 DR. TURER: Christy Turer, I concur.

3 DR. HUDAK: Okay, so thank you, Mr.  
4 Miller. We are, again, unanimously in favor of  
5 continuing your surveillance on this product.

6 We have two more to do. I think we'll  
7 try to power through unless there are objections.  
8 Are the people who will do the Impella  
9 presentation present. Yes, okay so if you can come  
10 to the table and the podium. And as Dr. Aggrey is  
11 making his way up to the podium if staff sitting  
12 at the table could introduce yourselves.

13 DR. LASCHINGER: John Laschinger,  
14 Medical Officer in the structural heart device  
15 branch of the Division of Cardiovascular Devices  
16 FDA.

17 MS. BAUER: Kelly Bauer, I'm a nurse  
18 consultant in the Office of Surveillance and  
19 Biometrics, Division of Post Market Surveillance.

20 DR. HUDAK: Thank you. So Dr. Aggrey,  
21 the floor is yours.

22 DR. AGGREY: Good morning. My name is

1 George Aggrey. I'm an epidemiologist at the  
2 Office of Surveillance and Biometrics, CDRH. I  
3 will present CDRH annual review for the Impella RP  
4 HDE including a review of the medical device  
5 reports and the published literature since our  
6 last briefing in 2016.

7 The Impella RP system is a minimally  
8 invasive miniature percutaneous circulatory  
9 support system for the right ventricle. The main  
10 component is a 22 French micro

11 (inaudible) pump catheter. The  
12 Impella RP system is indicated for  
13 providing

14 circulatory assistance for up to 14 days  
15 in pediatric or adult patients with body surface  
16 area or BSA equal or greater than 1.5 m<sup>2</sup> who  
17 develop acute right heart failure or  
18 decompensation following left ventricle  
19 (inaudible) or LVAD implantation by cardiac  
20 infarction, heart transplant or open heart  
21 surgery.

22 A total of 339 Impella RP devices were

1 sold in the U.S. in 2016. 288 devices were  
2 implanted including 8 implants in pediatric  
3 patients less than 22 years old. The sponsor is  
4 required to conduct (inaudible) studies to monitor  
5 the

6 (inaudible) and probable benefits  
7 of the Impella RP device. The  
8 Impella RP prospective study, or  
9 PS1, is a

10 single arm with multicenter study  
11 enrolling 30 patients from sites who are at the  
12 age of 18 years and have a BSA equal  
13 or greater than 1.5 m<sup>2</sup>. Patients will  
14 be followed for up to 180 days post device  
15 explant. The primary end point is survival at 30  
16 days post device explant or hospital discharge  
17 whichever is longer or to induction of anesthesia  
18 for next therapy.

19 patients are currently enrolled in this  
20 study. Their ages range from 21 to 81 years and  
21 the mean age is 60 years. Patients enrolled in  
22 the prospective study include one patient, age 21,

1 who is within the CDRH pediatric age range. A total  
2 of 18 patients met the primary end point surviving  
3 to 30 days post successful (inaudible) or hospital  
4 discharge or to induction of anesthesia for next  
5 therapy.

6 Of the 18 patients that met the primary  
7 end point, remained alive at 180 days post device  
8 explant. Three

9 patients died between day 1 and 180, one  
10 patient is alive past days but not yet 180 day  
11 time point and one patient

12 transitioned to next therapy. Eight  
13 patients died prior to meeting the primary end  
14 point. The patient who transitioned to next  
15 therapy also died in hospital. Thus, 9 patients  
16 died in hospital or prior to 30 days. In total,  
17 12 patients have died in this study.

18 The primary end point of 69.2 percent,  
19 18 out of 26 is comparable to the survival rate of  
20 them in their recovery rate ID study which was 73  
21 percent. Although not a focus of this study,  
22 (inaudible) pediatric patient was treated in PS1

1 for right ventricular failure (inaudible)  
2 following an LVAD inserted for left ventricular  
3 failure due to nonischemic cardiomyopathy. The  
4 patient was transitioned to Centrimag device for  
5 additional IV support and was discharged following  
6 a successful wean.

7 The adverse events reported in the  
8 perspective study were major bleeding events  
9 reported in 42 percent of patients,

10 out of 26 and hemolysis reported in 35  
11 percent of patients, out of 26. There were no  
12 events of pulmonary embolism. All

13 adverse events including death had been

14 (inaudible) reviewed by the clinical event committee,  
CEC. There were no device or

15 procedure related adverse events in the pediatric  
16 patients. One major bleeding and two hemolytic  
17 events were (inaudible) as definitely related to  
18 the device and procedure. One death was  
19 (inaudible) as probably device and procedure  
20 related.

21 This slide presents a summary of the  
22 death events that was (inaudible) as probably

1 related to device and procedure. The patient was  
2 a 72 year old female who was admitted with severe  
3 left and right ventricular failure and ejection  
4 fraction of 10 percent. The patient had an LVAD  
5 Impella RP implanted at the same time. The  
6 Impella RP was explanted on the sixth day of  
7 placement. After explant, the patient developed  
8 multiorgan failure, multisystem organ failure, and  
9 died. The immediate cause of death was reported  
10 as sepsis due to cardiogenic shock.

11 The Impella RP pediatric study, or, PS  
12 II is a retrospective single R multicenter study  
13 designed to ensure that all Impella RP use  
14 (inaudible) pediatric heart

15 (inaudible) are cultured. Since  
16 overall enrollment was anticipated  
17 to be low in pediatric hospital  
18 sites, all pediatric patients  
19 implanted over five years would be  
20 enrolled until a target number of  
21 patients is achieved. The  
22 indication for Impella RP use in

1                   pediatric patients age 15 to 17  
2                   years of age would be as equal or  
3                   greater than 1.5 m2 are the same as  
4                   for PS I. (Inaudible) duration and  
5                   the primary end point are also the  
6                   same as for PS I study. Soon the  
7                   last part meeting one site approved  
8                   for general HDE use has enrolled  
9                   one patient. Two pediatric sites  
10                  are being trained to use the  
11                  Impella RP.

12                  The patient who is currently enrolled in  
13                  the pediatric study is a 16 year old male  
14                  diagnosed with a right ventricular dysplasia who  
15                  experienced cardiac arrest at home.

16                  (Inaudible) and initiation of  
17                  inotropes significant biventricular  
18                  failure led for the need for  
19                  mechanical supplementary support  
20                  with a left sided assist device,  
21                  Impella CP and a right sided  
22                  Impella RP device. Hemodynamic

1 stabilization was achieved and both  
2 devices were successfully weaned at  
3 day 7. The patient was discharged  
4 home neurologically intact.

5 FDA is working with the sponsor to  
6 specifically increase enrollment at designated  
7 high volume pediatric centers. The sponsor plans  
8 to increase enrollment in the pediatric PS II by  
9 targeting enrollment of high volume pediatric  
10 cardiac centers as HUD sites. (Inaudible) have  
11 been identified by the sponsor. These targeted  
12 recruitment efforts were (inaudible) over the next  
13 few months. With these efforts, the sponsor is  
14 hoping to increase PS II enrollment to five to six  
15 patients in 2017 and four to five patients per  
16 year in year four and five.

17 The Impella RP was also implanted in six  
18 patients who are within FDA pediatric age range.  
19 The size currently outside either of the post  
20 approval studies. There reason for Impella  
21 implantation was right ventricular failure  
22 following LVAD implantation in one patient, post

1 cardiogenic shock in two patients, pulmonary  
2 hypertension in one patient and heart transplant  
3 in one patient. The reason for implantation for  
4 right ventricular failure was unknown in one  
5 patient. Of the six patients, three patients were  
6 successfully weaned and two patients, one with  
7 post cardiogenic shock and the patient with  
8 pulmonary embolism were unable to be weaned from  
9 support and died. The outcome in one patient is  
10 unknown. Per the sponsor, other clinical  
11 information on these patients were not available  
12 at the time of this data extraction.

13 As such, the literature was conducted  
14 for studies on the Impella RP. Two articles were  
15 identified. One was a case report on the use of  
16 Impella RP and the other was a publication on the  
17 recovery right IDE study that was submitted to FDA  
18 for the HDE approval which has already been  
19 presented to the PAC.

20 This slide presents a case report.  
21 (Inaudible) are included in the executive summary.  
22 The patient was a 70 year old female with a

1 history of non-ischemic dilated cardiomyopathy and  
2 ejection fraction of 10 to 15 percent. The  
3 patient was implanted with a HeartWare  
4 ventricular assistive device and successfully  
5 supported with an Impella RP device. There were  
6 no device related complications.

7 (Inaudible) present a medical  
8 device report review. The FDA  
9 searched the MDR database for all  
10 reports associated with the Impella  
11 RP from November 1, 2015 through  
12 November 30, 2016. The query  
13 resulted in the indication of six  
14 MDRs. There were no MDRs  
15 involved in pediatric patients.  
16 There were five male patients and  
17 one female ranging in age from 44  
18 to 68 years with a mean age of 59  
19 years. Five MDRs were reported in  
20 the U.S. and there was one MDR  
21 reported from outside the U.S. in  
22 Denmark. There was one death and



1 high cumulated load imparted on the  
2 inflow cannula during use. The  
3 load was likely secondary to  
4 challenging device placement and/or  
5 improper positioning during use.  
6 Corrective actions have been  
7 implemented by the firm who later  
8 enhanced clinical treatment to  
9 device uses.

10 The firm has also explored additional  
11 preventative actions related to the use of  
12 fistulas to improve the cannula bonding process  
13 and will update the FDA (inaudible) requirements.  
14 There was one bleeding event where a CT scan  
15 reviewed a large (inaudible) bleed of unknown  
16 origin requiring the administration of blood  
17 products and surgical evacuation of the hematoma.  
18 There was one MDR where there was difficulty in  
19 positioning the pump in the position resulting in  
20 alarms and increase plasma free hemoglobin  
21 levels. The pump was exchanged and the hemolysis  
22 resolved. The family later withdrew support due

1 to the patient's medical condition. According to  
2 the IFU, performance level may vary due to suction  
3 or incorrect positioning. The instruction for use  
4 addresses troubleshooting tips to mitigate these  
5 issues. All of the events reported in the MDR are  
6 described in full detail in the executive summary.

7 To summarize key points of the MDR  
8 review. There were no pediatric patients reported  
9 in the MDRs. The risk of thrombosis, hemolysis,  
10 bleeding and position issues reported in the MDRs  
11 have been reported in the IDE, are addressed in the

12 IFU and reflect known  
13 complications of this type of  
14 device. Corrective actions have  
15 been implemented by the firm related  
16 to device attachment. Additional  
17 actions are ongoing and the FEM  
18 will update the FDA panel reporting  
19 requirements. Through additional  
20 discussions with the firm, it was  
21 identified that one MDR was related  
22 to an adult PAS patient. There are

1                   no other safety concerns at this  
2                   time.

3                   FDA will continue surveillance and  
4                   report updates of the following PAC in 2018. There  
5                   are no distribution number, the mandated  
6                   post-approval study review, a literature review  
7                   and the MDR review. FDA would like to ask the  
8                   Committee, I agree with the FDA's conclusion and  
9                   propose approach. Thank you.

10                  DR. HUDAK: Thank you, Dr. Aggrey. This  
11                  is open for discussion. Dr. Kaskel.

12                  DR. KASKEL: Just a question. Why are  
13                  they having so much trouble recruiting patients  
14                  again?

15                  DR. AGGREY: The problem is with the  
16                  recruitment in the pediatric patients, PS II. PS  
17                  I is almost complete. The study was designed to  
18                  enroll patients ages 15 to 17 years with body  
19                  surface areas of 1.5 m<sup>2</sup>. Enrollment has been  
20                  concentrated on all issue (inaudible) but this one  
21                  has been encouraged to concentrate on looking at  
22                  specialized pediatric centers where they are

1 likely to be high volume patients to be treated  
2 with the device.

3 DR. LASCHINGER: The adult study is 85  
4 percent enrolled, so there is not a problem with  
5 enrolling in there and that captured one pediatric  
6 aged patient that was treated at one of the adult  
7 sites. The PAS II is specifically designed to  
8 capture all pediatric use wherever it occurs in  
9 the United States because we recognize that a lot  
10 of these children would be not treated at adult  
11 hospitals where the PAS II is concentrated.

12 The problem is in that the roll out that  
13 the company has several other devices that are  
14 adult sized devices and they are used to dealing  
15 with those centers and they didn't concentrate on  
16 pediatric centers specifically for this device and  
17 there has only been a couple of pediatric centers  
18 that have actually asked for it. We're making  
19 sure, along with the company, that they go out and  
20 actually talk to high volume pediatric centers  
21 such as would be Washington Children's Hospital or  
22 Texas Heart Hospital at Texas Children's Hospital,

1       excuse me, Boston Children's and places like that  
2       so that the device, if the hospital wants it is  
3       available at these sites. In the end, it comes  
4       down to whether or not the physicians want to use  
5       the device at the center where it is at but that's  
6       the crux of the matter.

7                     DR. HUDAK: Dr. Zuppa.

8                     DR. ZUPPA: But if you look at the  
9       indications for it, a lot of them are adult  
10      indications. A kid is not going to have an MI.  
11      We use LVAD sometimes but not all the time so I  
12      think the indications are more adult problems than  
13      they are pediatric problems.

14                    DR. AGGREY: I think there are two  
15      possible indications. One is patients who need a  
16      device after LVAD implantation in the congenital  
17      anomaly. Another patient may also have MI, open  
18      heart surgery as well. So we believe that  
19      patients who may need LVAD after implantation who  
20      develop right ventricular failure after LVAD  
21      implantation will fit in the pediatric category.

22                    DR. LASCHINGER: Even in the pediatric

1 centers, obviously, there is the size constraints  
2 of the device. The child needs to be 1.5 m2 body  
3 surface area which means usually adolescent or  
4 above. So we're certainly not going to capture  
5 anyone below that age range either.

6 DR. ZUPPA: And then if you look at, in  
7 general, heart disease surgery that is usually  
8 happening early on in life.

9 DR. PEIRIS: I just want to resonate with  
10 the question that was asked initially about why,  
11 and obviously this entire discussion is about why  
12 we haven't had more effective and robust pediatric  
13 enrollment. The purpose of this process was to  
14 actually gain pediatric enrollment and monitor  
15 that. We are very cognizant of this issue, we've  
16 brought it to the attention of Abiomed.

17 Abiomed has developed a plan that they  
18 feel will be consistent with achieving the  
19 enrollment parameters that were designed for the  
20 PAS II. We have suggested other centers that are  
21 high volume in pediatric cardiology that could  
22 potentially be centers to gain more enrollment and

1 we also agree that novel devices should be  
2 utilized most safely in centers that have a great  
3 expertise and staff teams infrastructure process  
4 to ensure that there are few adverse events in  
5 managing those patients with novel devices. So I  
6 just want to acknowledge and recognize the points  
7 that have been brought up today.

8 DR. HUDAK: Okay I think we're ready to  
9 do the electronic voting on the recommendations on  
10 the screen in front of you. Dr. Kishnani will  
11 start with the verbal roll call.

12 DR. KISHNANI: I concur.

13 DR. HUDAK: And then around the table I  
14 think we'll start with Dr. Turer.

15 DR. TURER: Christy Turer, I concur.

16 DR. SAYEJ: Wael Sayej, I concur.

17 DR. KASKEL: Rick Kaskel, I concur.

18 DR. ANNE: Premchand Anne, I concur.

19 DR. WADE: Kelly Wade, I concur.

20 DR. CATALETTO: Mary Cataletto, I

21 concur.

22 MS. MOORE: Erin Moore, I concur.

1 DR. WHITE: Michael White, agree.

2 DR. CALLAHAN: David Callahan, I concur.

3 DR. ZUPPA: Athena Zuppa, I concur.

4 DR. CNAAN: Avital Cnaan, I concur.

5 DR. HUDAK: Okay another unanimous vote  
6 in favor of continuing monitoring. We can move on  
7 if folk are here to the last presentation of the  
8 day, yes. All are here. So I have introductions  
9 from staff at the table first. Could you  
10 introduce yourselves to the Committee.

11 MS. RICKETTS: Cathy Ricketts, I'm in  
12 the Office of Surveillance and Biometrics. I'm a  
13 nurse analyst.

14 DR. SILVERSTEIN: I'm doctor  
15 Silverstein, I'm a medical officer in the Division  
16 of Reproductive Gastro Renal and Urological  
17 Devices and the Renal Devices Branch. Good  
18 morning and thank you for moving things along.

19 So we presented this a couple of times  
20 before so I'm going to run through some of the  
21 introductory slides. This information is also  
22 provided in your executive summary. The

1       indications for use for the pediatric HDE we'll be  
2       talking about the Liposorber LA-15 Systems  
3       indicated for use in the treatment of pediatric  
4       patients with nephrotic syndrome associated with  
5       primary focal segmental glomerulosclerosis. When  
6       either standard treatment options including  
7       corticosteroid and/or calcineurin inhibitors,  
8       treatments are unsuccessful or not well tolerated  
9       and the patient has a GFR measure of renal  
10      function greater than 60 ml per minute or the  
11      patient is post renal transplantation and has  
12      reoccurrence of FSGS.

13                 Just a brief background, again, there is  
14      a lot in your executive summary. FSGS is a kidney  
15      disease resulting in severe proteinuria and usual  
16      nephrotic syndrome. The majority of patients  
17      reach end stage renal disease which means they  
18      require dialysis or kidney transplantation within  
19      ten years of the initial diagnosis. Previous  
20      reports showed that probable benefit in safety for  
21      adults and children with FSGS treated with the  
22      Liposorber LA-15 System, the HDE

1 therapy for FSGS was approved in 2013 and this is  
2 an annual update of the PAS.

3 Briefly, this is a device description.  
4 So the patient would be here on the far left. It  
5 is an extracorporeal therapy so blood is removed  
6 from the patient and then run through a circuit  
7 similar to what we see with hemodialysis. So  
8 blood is removed from the patient generally by  
9 a catheter. It then goes through a blood pump  
10 because the blood needs to get through the system  
11 and it is not going to generate that on its own.  
12 It then runs through a plasma separator so plasma  
13 is taken one place, the red blood cells, white  
14 blood cells are taken to another place. The red  
15 blood cells and white blood cells are stored here.  
16 The plasma then is taken out and run through the  
17 Liposorber columns called the LDL absorption  
18 columns, they absorb LDL cholesterol. Once that  
19 is then finished, it runs back and is reconnected  
20 with the blood cells and then returned back to the  
21 patient and this goes on for several hours.

22 So the purpose of this is to isolate the

1 plasma, restore the blood cells and the restore  
2 the blood back to the patient that is cleansed of  
3 LDL cholesterol and other potential substances  
4 which might be removed by the columns. And that  
5 is an important point. It is used for patients  
6 with familial hypocholesterolemia where LDL  
7 cholesterol is removed. But in these patients, we  
8 believe that the benefit goes beyond that of just  
9 removing the LDL cholesterol.

10 So after the approval of the HDE, we  
11 designed a post-market study with the sponsor and  
12 the objectives were to assess the safety,  
13 specifically adverse events during and one month  
14 after the final Liposorber treatment and the  
15 probable benefit, which in here, is measured as  
16 classically as measured in studies with renal  
17 disease. The achievement of complete or partial  
18 remission of nephrotic syndrome, one month after  
19 the final Liposorber treatment. And I want to  
20 emphasize that the remission of nephrotic syndrome  
21 is an extremely important sign that a disease may  
22 be abating and we also would be assessing GFR.

1                   The criteria for the study with patients  
2                   age under years of age, body weight greater than  
3                   18 kg at baseline.

4                   This originally was 21 but in  
5                   discussions with the sponsor we decided that it  
6                   was safe to lower that down to 18. It included  
7                   patients with FSGS and again with persistent  
8                   nephrotic syndrome who were resistant to or  
9                   intolerant to therapy and had reasonably good  
10                  renal function preserved.

11                  The treatment schedule is the patients  
12                  come in over a 9 week period of time and receive  
13                  12 treatments according to a certain schedule.  
14                  And the study included 32 patients and so far as  
15                  I'll go into, 8 patients have been treated.

16                  So the interim results so far looking at  
17                  the probable benefit, shows that so far 8 patients  
18                  have been treated with the device. Now because  
19                  the follow up period is a long period of time, not  
20                  every patient has a full follow up period that has  
21                  already been assessed. So far, six patients  
22                  have had three to six months of follow up data

1 after the last Liposorber treatment, so it is  
2 after several months of the Liposorber treatments.

3 If you look at remission of nephrotic  
4 syndrome, again, criteria that would assess the  
5 resolution or improvement of renal function. One  
6 month after the final treatment, no patients had a  
7 complete remission, two had a partial remission,  
8 three had no remission whatsoever and one it was  
9 unclear at the particular time, probably a data  
10 collection issue. Three months after the final  
11 treatment, one had a complete remission, two  
12 partial and three had none and these patients  
13 followed along their line. So the two that had  
14 partial continued to be that way. And then  
15 finally, after six months, one had a complete  
16 remission, two partial and three had no remission.  
17 Down at the bottom there are some definitions.  
18 This is in your executive summary. How do we  
19 define complete or partial remission. It depends  
20 upon the degree of proteinuria.

21 We also look for probable benefit at  
22 glomerular filtration rate shown here on the top.

1 Urine protein and creatinine, LDL cholesterol and  
2 we also, as an exploratory measure, we looked at  
3 SuPAR with is a circulating factor that has been  
4 identified in some patients with FSGS but  
5 certainly not all of them. So I'll run through  
6 this. Here we have the six patients here on the  
7 left hand column. The baseline GFR is shown here  
8 and you can see if you just look at the column  
9 next to it that the 3 of 6 month EGFR is very,  
10 very stable. In the vast majority of the patients  
11 and went up a little bit in a couple of them and  
12 went down a little bit in a couple of them. Just  
13 to make note of patient five, the GFR range is 0  
14 to about 120. So this result of 170 probably  
15 reflects a very, very abnormally low serum  
16 creatinine which is used to assess GFR and it  
17 probably isn't a real number. So going from 170  
18 to 130 does not mean that there is decline, it is  
19 probably a lab phenomenon.

20 Very important measure is the urine  
21 protein and creatinine. Again, proteinuria is a  
22 very important sign of improvement of kidney

1 function. And you can see as shown in the red  
2 font that three patients had a significant drop in  
3 their urine protein to creatinine ration.  
4 Patients two and six really had no change and the  
5 only patient who we saw an increase was patient  
6 four.

7 LDL cholesterol, I'm not really going to  
8 belabor on this too much. The point of this  
9 therapy for patients with FSGS nephrotic syndrome  
10 is not really to remove LDL cholesterol. Now  
11 certainly patients with nephrotic syndrome can  
12 have hypercholesterolemia and this could be a  
13 benefit. But in a short term, we don't really  
14 consider this to be an end point that I think is  
15 meaningful. But basically, you can see the  
16 numbers were kind of all over the map.

17 And finally, we did discuss with the  
18 sponsor about measuring SuPAR because we thought  
19 it might give an indication about which patients  
20 might be benefiting from the therapy. So the  
21 theory is that if it is circulating factor,  
22 SuPAR and there are probably several others in

1 patients with FSGS. If you can remove the  
2 circulating factor could that be correlative with  
3 the improvement of patient symptoms. We really  
4 didn't find that. These numbers, again, were kind  
5 of all over the map and we really didn't see any  
6 relationship whatsoever between SuPAR and the  
7 improvement of symptoms. Again, I want to state  
8 that the majority of patients probably do not have  
9 SuPAR as their circulating factor. Some do, some  
10 don't, but the majority probably don't. We don't  
11 know exactly what these numbers mean in these  
12 patients. It was an exploratory end point for  
13 that purpose only.

14 I want to just briefly talk about  
15 safety. Going back a little bit, when we  
16 initially approved the HDE, we didn't have a lot  
17 of safety data on patients with FSGS treated with  
18 the device. So what we did, was we felt it was  
19 reasonable to extrapolate safety data from  
20 patients or I should say children with FH treated  
21 with the device. We felt that if anything,  
22 children with FH, familial hypercholesterolemia

1 probably have as high if not a higher risk profile  
2 then patients with FSGS so we felt that it was  
3 reasonable to look at the data obtained from  
4 children with FH treated with the device. And you  
5 can basically see on this slide that most of the  
6 events that had been thought to maybe occur with  
7 the Liposorber were not reported to occur in any  
8 children. Again, this is over 1000 treatments  
9 with the device. There were a few adverse events  
10 like infection, nausea and vomiting, hypotension  
11 which occurred pretty rarely in children. So this  
12 gave us confidence that this data could be  
13 extrapolated to children with FSGS and that these  
14 side events were relatively infrequent.

15           So the interim results for the safety  
16 since the last PAC meeting for this device and for  
17 patients with FSGS, we saw two adverse events that  
18 were reportable. Both of these occurred while the  
19 patients were receiving therapy with the device,  
20 so not after that period where the data is also  
21 being collected. In one patient, the patient  
22 developed fever, diarrhea and abdominal pain

1 considered to be of moderate severity. It did  
2 require a brief hospitalization. The patient  
3 recovered and it was believed not related to the  
4 device itself. The second patient developed fever  
5 and a possible infection. It proved out to be a  
6 viral illness. Again, moderate severity, did not  
7 require a hospitalization and believed not related  
8 to the device itself.

9           It is important to remember that there  
10 are three factors that can cause adverse events in  
11 patients getting therapy. Number one, is they  
12 have FSGS and nephrotic syndrome which itself can  
13 cause symptoms. Number two, they are being  
14 treated with the device. And the third factor is  
15 these patients have catheters which can cause  
16 infections and other problems. So multitude of  
17 reasons why patients can have symptomatology.

18           The systematic literature update review,  
19 basically we did a search strategy including  
20 looking for the words Liposorber, LDL and  
21 apheresis. Looking for all comers, all patients.  
22 We found 109 articles but many of them had to be

1 excluded because they didn't involve a clinical  
2 study, there was no use of the Liposorber LA-15  
3 System mentioned, it might have been another  
4 similar but not that exact device. Nineteen  
5 didn't include any pediatric patients and one  
6 involved and indication other than FSGS. So  
7 basically, we weren't able to find anything new  
8 regarding the probable benefit of safety for  
9 pediatric patients treated with the LA-15 System  
10 for FSGS.

11 Our MDR report review included the  
12 search using two product codes. Product codes are  
13 basically categories. Their codes apply to  
14 categories of devices that the FDA uses just to  
15 categorize information. The two product codes we  
16 use were MMY and PBN and you can see that they  
17 apply to certain types of devices. The period  
18 dates that we included in this search were January  
19 1 through December 31, 2016. We found six MDRs  
20 doing the search through the product code on our  
21 system. One was a pediatric patient and five were  
22 adults. For the pediatric patient, it was a 14

1 year old male who had recurrent nephrotic syndrome  
2 associated FSGS after kidney transplantation. The  
3 patient developed a Grade III anemia after the  
4 17th treatment. And it is important to note that  
5 the labeling does address the possibility of  
6 anemia with LDL apheresis procedures. And the  
7 manufacturer narrative of this report sites this  
8 could be secondary to cumulative blood loss by  
9 residual blood in the extracorporeal circuit, well  
10 known to happen in patients who get extracorporeal  
11 therapy and it could have also been to repetitive  
12 blood sampling which is necessary for these  
13 patients.

14           There were five reports in adults, two  
15 resulted in death. One patient developed death  
16 from cardiac arrest and the other one from a  
17 myocardial infarct. There was no clearly stated  
18 device causality in either report. The  
19 manufacturer noted in the report of the MI that  
20 the LDL-A treatment may have been relevant to the  
21 patient's sudden change. The two reports  
22 specifically, there was a 72 year old male who

1 expired one day after the eighth LDL-A treatment  
2 from sudden cardiac arrest. A 50 year old female  
3 expired after receiving her sixth treatment of the  
4 third course of LDL-A treatment. This patient  
5 suffered myocardial infarct. Again, these are  
6 adults with familial hypercholesterolemia who were  
7 getting chronic therapy with the device. So  
8 again, familial hypercholesterolemia, these  
9 patients are well known to develop cardiovascular  
10 disease, especially later on in life.

11           There were three adult reports of  
12 serious injury regarding an 82 year old male, an  
13 82 year old female and an unidentified patient.  
14 All of these events involved a patient  
15 experiencing severe hypotension with either a loss  
16 of consciousness or shock. In each case, the  
17 LDL-A therapy was discontinued and the patient  
18 recovered and there was really no clearly stated  
19 causality.

20           In the 82 year old male, the patient  
21 developed hypotension after the first LDL-A  
22 treatment and loss of consciousness. It wasn't

the

1 clear exactly why this happened. Hypotension,  
2 again, is in the labeling and instructions for use  
3 as a known adverse effect, probably related to  
4 either cardiovascular disease underlying condition in  
5 patient or the fact that the patient is getting  
6 treatment on a extracorporeal circuit. The 82  
7 year old male developed hypotension and shock  
8 minutes after the treatment. The  
9 problem in this patient was is the patient also  
10 received hemodialysis on the same day. So  
11 basically, the patient was exposed to two  
12 therapies requiring extracorporeal therapy on the  
13 same day. It is not exactly clear what the time  
14 period was between the therapies. I'm sure that  
15 they felt it was medically indicated but that was  
16 probably the reason. It may have just been an  
17 intolerance to the combination procedure. The  
18 last unidentified patient was hypotension and  
19 shock, 15 minutes after an LDL-A treatment. It  
20 was known after the fact that the patient received  
21 an ACE inhibitor, angiotensin-converting enzyme  
22 inhibitor, on the same day and it is

1        contraindicated to get therapy with the LDL-A,  
2        apheresis device, Liposorber device while also  
3        receiving angiotensin-converting enzyme inhibitor  
4        because of a bradykinin response that has been  
5        known to occur.

6                    Our conclusion from the MDR review were  
7        in 2016, there were a total of six MDRs involving  
8        significant adverse events. Two resulted in  
9        death, four resulted in serious injury. There was  
10       no mention of specific device related issues,  
11       however, the manufacturer investigations could not  
12       completely exclude the relevance of the treatment  
13       related to the outcomes. Again, several of these  
14       events including hypotension, are known to occur  
15       with the device. The known inherent risk with the  
16       use of the device such as anemia, shock,  
17       hypotension and dyspnea which were explained, are  
18       addressed in the instructions for use and also in  
19       the labeling for the device and it is also well  
20       known there is a contraindication of concomitant  
21       use of an ACE inhibitor while receiving therapy  
22       with the device.

1                   So our considerations are that we  
2                   believe at the FDA, that there are certain items  
3                   that may benefit from modified labeling. There  
4                   are some issues that we intend to discuss with the  
5                   sponsor and ascertain if this might be a path to  
6                   proceed with. We believe there might be increased  
7                   potential of development of anemia after  
8                   repetitive LDL-A treatments. Again, anemia is  
9                   listed in the adverse events known for the device  
10                  and it might be related to cumulative blood loss  
11                  by residual blood in the circuit or related to  
12                  repeated blood sampling as was noted in that one  
13                  patient. So there may be some modified labeling  
14                  that could potentially benefit patients.

15                  We also believe that the combination  
16                  treatment of hemodialysis in LDL-A therapy on the  
17                  same day could increase the risk of hypovolemia.  
18                  This certainly would not be something that would  
19                  be related to something the sponsor has done it  
20                  would just be related to, I think, just sort of  
21                  practice of medicine. I would think this would be  
22                  relatively straightforward unless a patient

1 absolutely requires hemodialysis on that day that  
2 you wouldn't give LDL-A therapy and hemodialysis  
3 on the same day. Its potential with this could be  
4 modified in the labeling but also this goes into,  
5 again, the practice of medicine.

6 So our recommendations are the CDRH  
7 believes that the device labeling could  
8 potentially be enhanced related to issues of the  
9 causes of anemia and the risk of hypovolemia with  
10 the device used on the same day a patient gets  
11 some other form of extracorporeal therapy and we  
12 intend to discuss these issues with the sponsor  
13 and we found those discussions in the past to be  
14 very, very cordial and productive. We will  
15 continue surveillance and report of the following  
16 to the Committee in 2018 including the outcome of  
17 the labeling review in discussions if there are  
18 any changes. We will also provide the usual  
19 distribution numbers, MDR review results and  
20 literature review results.

21 So the final slide, does the Committee  
22 agree with CDRH's conclusions and recommendation.

1 DR. HUDAK: All right so this is open  
2 for discussion. Dr. Zuppa.

3 DR. ZUPPA: Hi, thank you for that. I  
4 guess my first question, well the answer to my  
5 first question, the second question. Do some  
6 patients have central venous catheters just for  
7 the sake of this treatment?

8 DR. SILVERSTEIN: Yes these are patients  
9 who have a GFR of at least 60 mls per minute so  
10 basically they would definitely not qualify for  
11 hemodialysis. You have to have a GFR, typically  
12 of 10 mls a minute or lower or have other reasons  
13 to need hemodialysis emergently. So these  
14 patients would not be receiving hemodialysis  
15 unless there is some unforeseen reason why they  
16 would need a catheter. These patients are getting  
17 a catheter inserted specifically for the  
18 Liposorber therapy and when the Liposorber therapy  
19 ends in several months the catheter is removed.  
20 So it is going to require a tunneled catheter for  
21 sure.

22 DR. ZUPPA: So the only adverse event

1       that I saw that could be catheter related was  
2       bleeding at the site. Kids with nephrotic  
3       syndrome are prethrombotic and I'm just wondering  
4       if there were adverse events that were associated  
5       with a catheter that was in place for the  
6       treatment. Would those be attributed to the  
7       treatment because the catheter wouldn't be there  
8       otherwise and what the surveillance for clots were  
9       in this population.

10               DR. SILVERSTEIN: That is something  
11       we've debated because it really isn't the device.  
12       But it is something you need to get treated with a  
13       device. So you wouldn't use an AV fistula or a  
14       graft for whatever reason, maybe in a patient who  
15       already was on dialysis and got recurrence of the  
16       disease after kidney transplantation which is in  
17       the indications for use. We wouldn't use that  
18       anyhow, you would probably use a catheter.  
19       Fortunately, that issue hasn't really arisen yet  
20       but I would probably consider that to be device  
21       related because I don't think that you can get  
22       treated without having a catheter. It is

1       debatable. It is very possible that the catheter  
2       can get infected for reasons completely unrelated,  
3       that it could have been mishandled et cetera. So  
4       there wasn't proper technique for cleaning the  
5       catheter. That would be something that would  
6       certainly have to make us think twice but I would  
7       probably consider it device and/or procedure  
8       related, I would think so.

9                 DR. ZUPPA: I'm worried about infection  
10       but I'm worried about clot, catheter associated  
11       thrombosis, specifically in this population.

12                DR. SILVERSTEIN: Well these patients  
13       are getting anticoagulation with their therapy.  
14       You couldn't do this because the blood is moving  
15       outside the body. You have to use anticoagulation  
16       and there are anticoagulation related adverse  
17       events listed in the labeling in the instructions  
18       for use. But that certainly is a concern in the  
19       same way that bleeding can be a concern.  
20       Remember, after the patient finishes a therapy  
21       they go home, the catheter is locked with heparin.  
22       And there is certainly the risk that if somebody

1 doesn't know there is heparin in that catheter  
2 hub, they can infuse heparin into the patient. We  
3 all know that's happened.

4           So these catheters should be clearly  
5 labeled on the outside of the hub that they're  
6 locked with heparin and there should be the right  
7 type of precautions. But you're raising a good  
8 point. Those are concerns that could always  
9 result from having a catheter. It comes down to  
10 what we believe is a benefit risk issue. I didn't  
11 really want to get too much into FSGS and nephrotic  
12 syndrome but these are patients who have  
13 reasonably good kidney function but they are not  
14 responding to therapy at this point.

15           In other words, they're starting to show  
16 a decline and as Dr. Kaskel and Dr. Portman know  
17 better than anybody, these patients are extremely  
18 difficult to treat and you're basically looking at  
19 a decline into dialysis or kidney transplantation.  
20 So we have to start to say to ourselves, if this  
21 therapy can delay that progression maybe cure, but  
22 delay that progression, then the question is

1 that's the benefit versus the risk of having a  
2 catheter. That is always the debate.

3 So good questions, and that's something  
4 that we hope that when people decide to put  
5 patients on this therapy with the device that  
6 they're making that choice with that in mind about  
7 what are the benefits and what are the risks for  
8 the patient and discussing those with the patient  
9 and the family.

10 DR. HUDAK: Dr. Anne.

11 DR. ANNE: I'd like to make two quick  
12 comments. The first comment is that in the  
13 setting of renal disease, the typical dyslipidemia  
14 that you expect to see is elevated triglycerides  
15 and also elevated LDL. Now the LDL could be at a  
16 lower level because of being triglyceride  
17 (inaudible) and they are small dense LDL  
18 particles. In this table that we are seeing here,  
19 50 percent of the patients at baseline and 50  
20 percent of the patients at three and six months  
21 have significant LDL elevations. So in the  
22 context of what I was trying to say, I guess is,

1 in the context of elevated triglycerides, the more  
2 appropriate thing to measure would be  
3 apolipoprotein B rather than monitoring the LDL  
4 levels. So that is point number one.

5 Number two is that in 2011, the American  
6 Academy of Pediatrics put out expert guidelines on  
7 dyslipidemia management and they actually promote  
8 earlier management of these, statin therapy or  
9 whatever appropriate therapy there is. So I don't  
10 think we can necessarily dismiss the LDL levels  
11 based on these levels here in this table or  
12 whatever else. I think these need to be taken a  
13 little bit more seriously and just monitored a  
14 little bit more accurately with the apolipoprotein B  
instead  
15 of the LDL itself.

16 DR. SILVERSTEIN: So you raised a lot of  
17 important points and many good points. So the  
18 first thing is, is if we look at the table except  
19 for one patient, the LDL cholesterol levels  
20 declined or were stable. So I do think there is  
21 some evidence that the device was maybe removing  
22 some of the LDL cholesterol. Now you raised, it

1 is a -- about the apolipoprotein B I think we can  
2 certainly discuss that with the sponsor and I'm  
3 going to be talking with them afterwards and we'll  
4 talk with the investigators. I think that is a  
5 reasonable --

6 DR. ANNE: It is an easy test.

7 DR. SILVERSTEIN: But the lipid profile  
8 gets a little bit complicated. Because lipid  
9 profile does change -- it does make a difference  
10 what your GFR is but it also makes a difference if  
11 you have nephrotic syndrome. So it is a  
12 complicated set of issues related to the lipid  
13 profile itself. Generally, what we do with  
14 patients with nephrotic syndrome, if they have an  
15 acute episode, we don't put them on lipid lowering  
16 agents because of the potential risk of statins.  
17 If patients have unremitting nephrotic syndrome,  
18 we might definitely consider putting them on a  
19 lipid lowering agent, depending upon what the  
20 profile may be. But it gets a little bit  
21 complicated because you have chronic kidney  
22 disease and you have nephrotic syndrome and the

1 types of lipid profiles for those two different  
2 categories aren't identical.

3 But you raise a very, very good point.  
4 And I didn't mean to minimize the importance of  
5 the LDL cholesterol here, I meant it more in  
6 relation to the fact that the mechanism of removal  
7 of LDL cholesterol is probably not the major  
8 factor helping these patients. We certainly don't  
9 know exactly what the device is removing, we  
10 believe there might be inflammatory factors, there  
11 could be circulating factors et cetera.

12 We know that inflammation contributes to  
13 the progression of chronic kidney disease, typical  
14 inflammatory mediators that we all know. So I  
15 apologize if it sounded as if I was sort of  
16 delegitimizing these -- I was sort of just trying  
17 to say it doesn't relate, necessarily, to the  
18 mechanism in which the device is helping these  
19 patients. But to your point, patients probably do  
20 have full lipid profiles available who are getting  
21 treated with this device and we can certainly ask  
22 for that information.

1 DR. ANNE: I think my emphasis is more  
2 on the chronicity of the disease process rather  
3 than the acute setting, per se.

4 DR. HUDAK: Dr. Kaskel and then Dr.  
5 Kishnani after you.

6 DR. KASKEL: Rick Kaskel. So this is  
7 the perplexing problem of the nephrotic trial  
8 failing to respond to everything walking around  
9 being at risk for infection, sepsis,  
10 cardiovascular events. Often there is no way to  
11 treat the edema effectively even when they become  
12 refractory to all the diuretic therapy. So this  
13 is at the end of the line and that's why this  
14 offers some hope. We're all waiting to see more  
15 evidence that we can sustain prolonged remission  
16 with this treatment.

17 Some of the molecules that everyone is  
18 hoping they're removing that are not yet  
19 identified are possibly small molecules that  
20 interact with the lipid complexes and effect the  
21 podocytes. That, I think, one of the targets here  
22 is what is happening at the podocytes by removing

1       these substances. I think there are some hurdles  
2       and I know at our place, the hurdles involve being  
3       able to say to a family, listen, here is the data,  
4       small numbers. Here are how many kids go into  
5       prolonged remission or improve the outcome. That  
6       is what is lacking because we don't have a  
7       substantial body of evidence yet. But for  
8       recruitment purposes, the sponsor needs to give as  
9       much forward to us to provide evidence that this  
10      is worth having a catheter inserted into a vein, a  
11      large vein for treatment and the time commitment  
12      for the study.

13                 The second thing that we've experienced  
14      with this and this may be beyond the scope of this  
15      discussion, is inherent problems in an institution  
16      trying to prescribe this therapy and having the  
17      regulatory issues like the nurses who would do  
18      dialysis or plasmapheresis buy into it. That is  
19      the second thing that happened at our particular  
20      place and I'm not sure how you solve that from the  
21      sponsor's standpoint.

22                 But I think the community, the pediatric

1 nephrology community is waiting for more positive  
2 results from the use of this technique.

3 DR. HUDAK: Dr. Kishnani has a comment  
4 on the phone.

5 DR. KISHNANI: Yes, hello. My question  
6 and comment are the following. In terms of the  
7 one case where hemodialysis had been done and  
8 there was actually a death from it, are there  
9 other reports, I know where a combination of  
10 hemodialysis and this device were used. Of  
11 course, it may not have resulted in death but  
12 where there were reports of other adverse events  
13 like drop in blood pressure, et cetera. That was  
14 number one.

15 Number two was based on the  
16 understanding that ACE inhibitors can be very  
17 problematic in this setting. Is this part of the  
18 current label or as we're in discussion, could  
19 this also be considered (inaudible).

20 DR. SILVERSTEIN: Just one  
21 clarification. The patient who received LDL-A  
22 therapy and hemodialysis in the same day did not

1 die. The patient developed hypotension but the  
2 death was in two other patients.

3 DR. KISHNANI: Thank you for that.

4 DR. SILVERSTEIN: But your point is well  
5 taken, though, about that risk.

6 DR. KISHNANI: And in terms of the ACE  
7 inhibitors, are there other reports and could that  
8 also be in consideration as we discuss the label.

9 DR. SILVERSTEIN: I didn't catch the end  
10 of that comment so I apologize. The ACE inhibitor  
11 is definitely in the label that is  
12 contraindicated. It is in the label for FH and it  
13 is in the label for the FSGS so that is a  
14 well-known complication. We, unfortunately, do  
15 see this time to time where patients are given an  
16 ACE inhibitor on the same day. It is probably  
17 related to maybe being treated in one place and  
18 getting therapy in another place. It is probably  
19 just a lack of communication. It probably would  
20 be beneficial if every patient is asked before  
21 they go on the therapy if they took an ACE  
22 inhibitor on that day or if the parents are asked,

1 in the case of children. I think that probably  
2 would be worthwhile. I think that is probably  
3 being done. We can certainly assess whether that  
4 is being done on a regular basis. But it does  
5 happen occasionally. Again, this didn't happen in  
6 the study, it happened outside the study but the  
7 point of when patients come in to get a therapy  
8 their medications should be reviewed. Not only  
9 their typical medication list but also what  
10 medications they took that day, so we can maybe  
11 reiterate that.

12 DR. HUDAK: Dr. Turer.

13 DR. TURER: Christy Turer. The last  
14 time this was presented I had asked a question  
15 about whether weight was being measured in part  
16 because FSGS can be a mixed bag. In adults, we  
17 know that there is, well we believe there is an  
18 entity called obesity related glomerulopathy. So  
19 my first question is, has weight been assessed in  
20 these kids.

21 The second one is, how is GFR being  
22 measured because in adults we measure GFR

1 differently than we do in kids. Depending on  
2 whether you use the Schwartz-Lyon formula and you use  
3 standard versus adjustment for ideal body weight  
4 or true BSA using real body weight could alter the  
5 way in which EGFR is estimated.

6 DR. SILVERSTEIN: Yes I remember that  
7 comment from last year. Weight is being recorded.  
8 Right now, I think what you're talking about is  
9 the etiology of FSGS as opposed to -- and so on  
10 large scale studies it has been shown that some  
11 patients with obesity are more prone to developing  
12 FSGS. For the purpose of this particular study,  
13 we only have eight patients so we don't really  
14 have that data to report right now because we have  
15 a very, very small sample set. But that  
16 relationship of obesity in FSGS and there are  
17 well-known mechanisms now that have been  
18 unearthed, does exist for children. But for this  
19 particular study, only eight patients, there  
20 wasn't much to assess.

21 Your second point about GFR, in  
22 pediatrics we used to use what was called the

1 Schwartz formula now we use the modified Schwartz  
2 formula using the 0.413 as the denominator and that  
3 is basically what is being used. So you have a  
4 serum creatinine, you plug it in to a formula  
5 using the patient's height in centimeters, divide  
6 that 0.413 and you get the GFR. That's how it is  
7 being done in standard ways.

8 Now, to your point, I think about can  
9 this underestimate or overestimate or give you an  
10 improper result for patients who are malnourished,  
11 et cetera. That certainly is a problem, we know  
12 that. That is a limitation of using serum  
13 creatinine for any measure of GFR because of the  
14 possibility of malnourishment. But I think for  
15 the patients in the study, unless there was a  
16 drastic change in their nutritional status  
17 throughout the study I don't think it would affect  
18 the longitudinal assessment of GFR. Does that  
19 answer your question?

20 So if their GFR is lower, is  
21 artificially high in the beginning because they  
22 are malnourished, it is probably not going to

1 change drastically throughout the study. So the  
2 GFR is going to be similarly affected throughout  
3 the study. But we know on a point by point basis,  
4 GFR can certainly be overestimated in a patient  
5 who is malnourished if you're using serum  
6 creatinine. If you did a cross-sectional look at  
7 a patient population you're going to get some who  
8 are going to fall into that. But if you look into  
9 a longitudinal study over a three to six month  
10 period of time I would be surprised if the serum  
11 creatinine is going to change drastically because  
12 of nutritional status.

13 DR. PEIRIS: And perhaps you want to  
14 clarify the question also related to obesity.  
15 Your concern, I'm assuming, is just adiposity  
16 because these patients certainly have  
17 extravascular fluid volume issues that can alter  
18 our ability to be accurate about lean body mass,  
19 lean muscle mass and what Doug is bringing up as  
20 well is that issue with respect to nutritional  
21 status correlated with lean muscle mass,  
22 correlated with creatinine and then how that is

1       evaluated as a factor in the GFR measurement. I  
2       just want to help clarify the discussion because  
3       there is a few points that are being thrown around  
4       here that are not clear.

5                     DR. HUDAK: Dr. Kaskel.

6                     DR. KASKEL: Rick Kaskel. So when the  
7       patients, few numbers, that had a remission is  
8       certainly encouraging because we know usually the  
9       unremitting course of these children and  
10      adolescents with nephrotic syndrome does not  
11      respond to anything is loss of renal function  
12      within two to five years and they are in dialysis  
13      mode. So short term data shows that about three  
14      of them have gone into a full remission, a couple  
15      have a partial remission, that is very  
16      encouraging. Has any thought been given to  
17      possibly giving those that have a remission  
18      another treatment with either another  
19      immunosuppressive agent down the line if they  
20      relapse, or is there any thought about recurrent  
21      use of this treatment in the future if someone  
22      goes in remission and then relapses.

1 DR. SILVERSTEIN: Good question. So  
2 that usually means I don't have an answer. It is  
3 a very, very good question because we know that  
4 patients who have FSGS and nephrotic syndrome,  
5 once they stop responding to one drug they're  
6 going to stop responding to others. It sort of  
7 becomes a rolling ball down the hill.

8 So if they are steroid responsive which  
9 is a typical drug given to most patients with  
10 nephrotic syndrome, if they are initially steroid  
11 responsive and then they become steroid resistant,  
12 they may be responsive initially to the next drug.  
13 But if you are initially steroid resistant you are  
14 probably not going to respond to anything. You  
15 might get a little bit of a response to another  
16 drug.

17 So the question you're asking is, they  
18 finished the study, now they're out, now what  
19 happens to them. And so what the doctors decide  
20 to do outside the study is not under our purview,  
21 however, I would think if the patients went into  
22 remission and they still had a catheter, the

1 question is, personally what I would do, I would  
2 leave the catheter in for a while and see how the  
3 patient does after the treatments are done. But  
4 again, these patients are getting three to six  
5 months follow up after their last treatment but I  
6 would consider leaving it in. I would also say to  
7 myself, they responded to this, what does that  
8 mean. Could I reintroduce a drug like  
9 cyclosporine again and try that, I certainly  
10 would.

11 I think that as all the nephrologists  
12 here know, that you get to a point of diminishing  
13 returns as the GFR declines and you get to the  
14 point where you say to yourself, I'm just throwing  
15 more immunosuppression at the patient, I'm adding  
16 on adverse events when I can already see where  
17 this is going. So I think that's a decision that  
18 people would have to make depending upon the GFR.  
19 If their GFR at the end of the study is lower and  
20 is now 50, 40, 30, I'm starting to say to myself,  
21 I don't want to give up on the patient but I might  
22 be at that point where any therapy I might provide

1       might tip the scales for risk greater than  
2       benefit. And we all go through that decision  
3       making and we have those discussions with families  
4       and you have to make a decision together and think  
5       about where you want to go.

6                       We know the patients who get  
7       plasmapheresis for recurrent FSGS after kidney  
8       transplantation. The success rate has been shown  
9       to be over the years to be relatively good. So it  
10      is a similar type therapy to this device and it is  
11      probably going to be relatively similar in  
12      efficacy and risk as time bears out. But the  
13      point I was going to make, is we know that some of  
14      those patients have to be cycled through again.  
15      They develop recurrence, they get treated, they  
16      get better and then six months to a year later  
17      they have another episode of recurrence. And  
18      recurrence is obviously specifically defined for  
19      patients after kidney transplantation.

20                      So I would think that another course of  
21      therapy depending how the patient did would be  
22      something as a consideration but certainly

1 wouldn't leap into that without a lot of thought  
2 or maybe a reintroduction of a drug. So, you're  
3 right. What do you do with these patients after  
4 this. This is the classic question we have with  
5 patients with FSGS. Because if you don't do  
6 anything and the patient doesn't improve they end  
7 up getting a kidney transplant and then they're  
8 exposed to different types of medications and  
9 different types of risks. Transplantation is  
10 better than dialysis, we all know that, but at the  
11 same time, there are risks involved. So long  
12 answer, I don't know if I answered your question  
13 but I think it depends on a lot of factors.

14 DR. HUDAK: Another question.

15 DR. KASKEL: Rick Kaskel. Why was an  
16 adult on dialysis given this treatment on the same  
17 day once they reached end stage?

18 DR. SILVERSTEIN: Good question. We  
19 don't know. We don't know the details of that.  
20 The MDR reports just give you certain amounts of  
21 information. I personally would not, obviously  
22 that was somebody who -- well, very, very, likely,

1       that is somebody who had FSGS and it is  
2       theoretically possible the patient reached  
3       hemodialysis for a reason other than FSGS.  But  
4       presuming it was FSGS and that's why they were  
5       receiving Liposorber therapy, I'm not really sure  
6       why you would do that on the same day.  If I'm  
7       giving a patient hemodialysis, the only other  
8       possibility is the patient had familial  
9       hypercholesterolemia and also had renal failure  
10      for another reason.

11                 DR. KASKEL:  Is it worth making a  
12      comment that we would not recommend using it in a  
13      pediatric patient who reaches end stage?

14                 DR. SILVERSTEIN:  Well you couldn't, the  
15      way the indications for use are is you have to  
16      have a GFR of 60 or greater.  So that eliminates  
17      that possibility.  But your point comes back to  
18      the point that I think has been made before about  
19      does the labeling need to indicate that if you're  
20      receiving another extracorporeal therapy on that  
21      same day and/or they is hemodynamic compromise for  
22      other reasons that you may want to hold off

1 Liposorber therapy. There is no emergency to do  
2 Liposorber therapy.

3           So what I would have done in that  
4 patient, let's say the patient had FH and also  
5 developed renal disease for some other reason and  
6 was on an end stage and was getting dialysis.  
7 I'll give the dialysis and I wait a couple of days  
8 and once the patient is recovered I look at my  
9 window to use Liposorber therapy. The emergent  
10 treatment there is not in Liposorber therapy it is  
11 hemodialysis. I am just suspicious there was a  
12 disjointed type of care. One group was giving one  
13 therapy, one group was giving another therapy. I  
14 have to believe that that was a significant  
15 possibility.

16           DR. HUDAK: Thank you, Dr. Silverstein,  
17 that was a great discussion. I think we've come  
18 to the end of the discussion. So we can bring up  
19 the slide on the recommendations. We'll do an  
20 electronic vote on this. Oral votes, we'll start  
21 with you, Dr. Kishnani.

22           DR. KISHNANI: I concur, just with the

1 one thought that I had mentioned earlier if  
2 somewhere it can be stated about the caution with  
3 hemodialysis use around the same day and also the  
4 same for the ACE inhibitor. I know that is  
5 already in the label but I don't know if there is  
6 another way to reemphasize it. Overall, I concur.

7 DR. HUDAK: Okay and we'll start with  
8 Dr. Cnaan.

9 DR. CNAAN: Avital Cnaan, I concur.

10 DR. ZUPPA: Athena Zuppa, I concur.

11 DR. CALLAHAN: David Callahan, I concur.

12 DR. WHITE: Michael White, agree.

13 MS. MOORE: Erin Moore, concur.

14 DR. CATALETTO: Mary Cataletto, concur.

15 DR. WADE: Kelly Wade, concur.

16 DR. ANNE: Premchand Anne, concur.

17 DR. KASKEL: Rick Kaskel, I concur.

18 DR. SAYEJ: Wael Sayej, I concur.

19 DR. TURER: Christy Turer, I concur.

20 DR. HUDAK: Very good. The CDRH rates,  
21 you've had three unanimous votes so you've done  
22 well for the day. So we have reached the end of

1 the program and I'll leave it to Marieann to make  
2 any administrative comments at this point.

3 MS. BRILL: For your reimbursements,  
4 Euneka will be sending an email within a week so  
5 please make sure that you returned or you respond  
6 to Euneka's email. Thank you.

7 DR. HUDAK: Just another reminder to  
8 turn in the discs before you leave if you have  
9 them. All right, we're adjourned, thank you.

10 (Whereupon, at 11:39 a.m., the  
11 PROCEEDINGS were adjourned)

12 \* \* \* \* \*

13

14

15

16

17

18

19

20

21

22

## 1 CERTIFICATE OF NOTARY PUBLIC

## 2 COMMONWEALTH OF VIRGINIA

3 I, Carleton J. Anderson, III, notary  
4 public in and for the Commonwealth of Virginia, do  
5 hereby certify that the forgoing PROCEEDING was  
6 duly recorded and thereafter reduced to print under  
7 my direction; that the witnesses were sworn to tell  
8 the truth under penalty of perjury; that said  
9 transcript is a true record of the testimony given  
10 by witnesses; that I am neither counsel for,  
11 related to, nor employed by any of the parties to  
12 the action in which this proceeding was called;  
13 and, furthermore, that I am not a relative or  
14 employee of any attorney or counsel employed by the  
15 parties hereto, nor financially or otherwise  
16 interested in the outcome of this action.

17

18 (Signature and Seal on File)

19 Notary Public, in and for the Commonwealth of  
20 Virginia

21 My Commission Expires: November 30, 2020

22 Notary Public Number 351998

