

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

PHARMACEUTICAL SCIENCE AND CLINICAL PHARMACOLOGY
ADVISORY COMMITTEE MEETING

Wednesday, May 15, 2017

7:45 a.m. to 11:24 a.m.

Morning Session

Omni Shoreham Hotel
2500 Calvert Street, N.W.
Washington, D.C.

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Jennifer Shepherd, RPh**

4 Division of Advisory Committee and Consultant
5 Management

6 Office of Executive Programs, CDER, FDA

7
8 **PHARMACEUTICAL SCIENCE AND CLINICAL PHARMACOLOGY**

9 **ADVISORY COMMITTEE MEMBERS (Voting)**

10 **Jeffery M. Carrico, PharmD, BCPS**

11 Director of Pharmacy, Investigational Drug Service
12 Member, Institutional Review Board
13 Florida Hospital System Department of Pharmacy
14 Orlando, Florida

15
16 **James C. Cloyd, PharmD**

17 Director, Center for Orphan Drug Research
18 Professor, Department of Experimental and Clinical
19 Pharmacology
20 McGuire Translational Research Facility
21 University of Minnesota
22 Minneapolis, Minnesota

1 **Patricia W. Slattum, PharmD, PhD, GCP**

2 Professor of Pharmacotherapy and Outcomes Science

3 Virginia Commonwealth University

4 Richmond, Virginia

5

6 **Duxin Sun, PhD**

7 Professor

8 University of Michigan

9 College of Pharmacy

10 Ann Arbor, Michigan

11

12 **Scott A. Waldman, MD, PhD**

13 *(Chairperson)*

14 Chairman and Professor

15 Departments of Pharmacology and Experimental

16 Therapeutics and Medicine

17 Thomas Jefferson University

18 Philadelphia, Pennsylvania

19

20

21

22

1 **PHARMACEUTICAL SCIENCE AND CLINICAL PHARMACOLOGY**

2 **ADVISORY COMMITTEE MEMBERS (Non-Voting)**

3 **Walid M. Awni, PhD**

4 *(Industry Representative)*

5 Vice President, Clinical Pharmacology and

6 Pharmacometrics

7 AbbVie

8 North Chicago, Illinois

9
10 **Jack A. Cook, PhD** *(via phone)*

11 *(Industry Representative)*

12 Vice President, Clinical Pharmacology

13 Global Product Development

14 Pfizer, Inc.

15 Groton, Connecticut

16
17 **Srini Tenjarla, PhD** *(via phone)*

18 *(Industry Representative)*

19 Vice President and Head of Global

20 Pharmaceutical Sciences

21 Shire

22 Lexington, Massachusetts

1 **TEMPORARY MEMBERS (Voting)**

2 **Bonnie Arkus, RN** *(via phone)*

3 *(Acting Consumer Representative)*

4 Executive Director

5 Women's Heart Foundation

6 Trenton, New Jersey

7

8 **Jessie L-S, Au, PharmD, PhD**

9 Chief Scientific Officer and CEO

10 Optimum Therapeutics, LLC

11 San Diego, California

12

13 **Jerry M. Collins, PhD**

14 Associate Director

15 Developmental Therapeutics Program

16 Division of Cancer Treatment and Diagnosis

17 National Cancer Institute

18 National Institutes of Health

19 Rockville, Maryland

20

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

James E. Polli, PhD

Professor and Ralph F. Shangraw/Noxell Endowed
Chair in Industrial Pharmacy and Pharmaceutics
Department of Pharmaceutical Sciences
University of Maryland School of Pharmacy
Baltimore, Maryland

Dan M. Roden, MD

Professor of Medicine, Pharmacology and
Biomedical Informatics
Director, Oates Institute for
Experimental Therapeutics,
Senior Vice President for Personalized Medicine
Vanderbilt University Medical Center
Nashville, Tennessee

1 **Alexander A. Vinks, PharmD, PhD, FCP**
2 Cincinnati Children's Research Foundation
3 Endowed Chair
4 Professor, Pediatrics & Pharmacology
5 University of Cincinnati, College of Medicine
6 Director, Division of Clinical Pharmacology
7 Scientific Director, Pharmacy Research in
8 Patient Services
9 Cincinnati Children's Hospital Medical Center
10 Cincinnati, Ohio

11
12 **Albert L. Waldo, MD, PhD** *(via phone)*
13 Professor of Medicine
14 Case Western Reserve University School of Medicine
15 Associate Chief of Cardiovascular Medicine for
16 Academic Affairs
17 University Hospitals Cleveland Medical Center
18 Cleveland, Ohio

19
20
21
22

1 **FDA PARTICIPANTS (Non-Voting)**

2 **Issam Zineh, PharmD, MPH**

3 Director

4 Office of Clinical Pharmacology (OCP)

5 Office of Translational Sciences (OTS)

6 CDER, FDA

7

8 **Kathleen Uhl, MD**

9 Director

10 Office of Generic Drugs (OGD), CDER, FDA

11

12 **Shiew-Mei Huang, PhD**

13 Deputy Director

14 OCP, OTS, CDER, FDA

15

16 **Liang Zhao, PhD**

17 *(Morning Session Only)*

18 Director

19 Division of Quantitative Methods & Modeling

20 Office of Research and Standards, OGD

21 CDER, FDA

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Ping Zhao, PhD

(Morning Session Only)

PBPK Lead

Division of Pharmacometrics

OCP, OTS, CDER, FDA

Robert Lionberger, PhD.

(Morning Session Only)

Director

Office of Research and Standards, OGD

CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Scott Waldman, MD, PhD	12
5	Conflict of Interest Statement	
6	Jennifer Shepherd, RPh	17
7	Model-Informed Drug Development (MIDD):	
8	Opportunities and Challenges	
9	Shiew-Mei Huang, PhD	21
10	Session I: Role for Physiologically-Based	
11	Pharmacokinetic (PBPK) Modeling and	
12	Simulation in Drug Development and Regulation	
13	FDA Presentations	
14	Toward Consistent Regulatory Assessment of	
15	Physiologically-based Pharmacokinetic (PBPK)	
16	Modeling to Support Dosing Recommendations	
17	Ping Zhao, PhD	30
18	Toward Consistent Regulatory Assessment of	
19	Absorption PBPK Modeling and Applications to	
20	Support Formulation and Generic Drug	
21	Development	
22	Liang Zhao, PhD	41

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	Guest Speaker Presentations	
4	PBPK Submissions and Review Experience in	
5	European Medicines Agency (EMA) and EMA	
6	Draft PBPK Guideline	
7	Anna Nordmark, PhD	56
8	Experience, Opportunity, and Challenges in	
9	Submitting PBPK Analyses to Regulators, and	
10	Comments to EMA and FDA Draft PBPK	
11	Guidance Documents	
12	Neil Parrott, PhD	65
13	Clarifying Questions	76
14	Open Public Hearing	112
15	Questions to the Committee and Discussion	117
16	Adjournment	164
17		
18		
19		
20		
21		
22		

P R O C E E D I N G S

(7:45 a.m.)

Call to Order

Introduction of Committee

1 DR. WALDMAN: Good morning. I would like to
2 first remind everyone to please silence your
3 cell phones, smartphones, and any other devices if
4 you've not already done so.

5 I'd also like to identify the FDA press
6 contact, Lauren Smith Dyer. If you're present,
7 please stand, Lauren. Thank you.

8 My name is Scott Waldman. I'm the
9 chairperson for the Pharmaceutical Science and
10 Clinical Pharmacology Advisory Committee. I'll now
11 call this meeting of the Pharmaceutical Science and
12 Clinical Pharmacology Advisory Committee to order.
13 We'll start by going around the table and
14 introducing ourselves. Let's start on the right.

15 DR. CLOYD: Jim Cloyd, College of Pharmacy,
16 University of Minnesota, director for the Center
17 for Orphan Drug Research.

18 DR. SUN: Duxin Sun, University of Michigan,

1 professor in pharmaceutical science and director of
2 Pharmacokinetics Core.

3 DR. RODEN: Dan Roden, clinical
4 pharmacologist.

5 DR. POLLI: James Polli, University of
6 Maryland.

7 DR. VINKS: Xander Vinks, clinical
8 pharmacologist, University of Cincinnati and
9 Cincinnati Children's Hospital Medical Center.

10 DR. COLLINS: Jerry Collins, from NIH,
11 National Cancer Institute.

12 DR. AU: I'm Jessie Au. I have three
13 academic appointments in three different
14 universities. I'm also CSO of a clinical stage
15 biotech company.

16 DR. AWNI: Walid Awni. I run the clinical
17 pharmacology, PKPD, pharmacometrics at AbbVie.

18 DR. HUANG: Shiew-Mei Huang, deputy
19 director, Office of Clinical Pharmacology, Office
20 of Translational Sciences, CDER FDA.

21 DR. UHL: Kathleen Uhl. I'm the director of
22 the Office of Generic Drugs at CDER.

1 DR. L. ZHAO: Liang Zhao, Office of Generic
2 Drugs, FDA CDER.

3 DR. P. ZHAO: Ping Zhao, Office of Clinical
4 Pharmacology, Division of Pharmacometrics, FDA
5 CDER.

6 DR. LIONBERGER: Rob Lionberger. I'm the
7 director of the Office of Research and Standards in
8 the Office of Generic Drugs at CDER.

9 DR. SLATTUM: I'm Patty Slattum. I'm the
10 director of Geriatric Pharmacotherapy Program at
11 Virginia Commonwealth University.

12 DR. CARRICO: I'm Jeff Carrico. I'm the
13 director of Research for Pharmacy Services and the
14 director of the Investigational Drug Service for
15 the Florida Hospital System in Orlando, Florida.

16 LCDR SHEPHERD: Jennifer Shepherd,
17 designated federal officer.

18 DR. WALDMAN: And again, I'm Scott Waldman,
19 pharmacology and experimental therapeutics, Thomas
20 Jefferson University in Philadelphia.

21 We have a number of folks on the phone, and
22 you're in order, so if could please identify

1 yourselves.

2 Dr. Arkus, are you on?

3 MS. ARKUS: I'm Bonnie Arkus, and I'm the
4 consumer representative. I am executive director
5 of Women's Heart Foundation.

6 DR. WALDMAN: Thank you.

7 Dr. Cook, are you on?

8 DR. COOK: Jack Cook. Yes, I am. Jack
9 Cook, clinical pharmacology, Pfizer Inc. I'm one
10 of the industrial representatives.

11 DR. WALDMAN: Dr. Tenjarla, are you on?

12 DR. TENJARLA: Yes, I'm on. Good morning.
13 My name is Srini Tenjarla, head of pharmaceutical
14 sciences, Shire Pharmaceuticals.

15 DR. WALDMAN: Dr. Venitz, are you on?

16 (No response.)

17 Dr. Waldo, are you on?

18 DR. WALDO: Yes. I'm professor of medicine,
19 a cardiac electrophysiologist at Case Western
20 Reserve University and University Hospitals in
21 Cleveland.

22 DR. WALDMAN: Terrific. Thanks so much.

1 Have I missed anybody?

2 (No response.)

3 DR. WALDMAN: Okay.

4 For the topics such as those being discussed
5 at today's meeting, there are often a variety of
6 opinions, some of which are quite strongly held.
7 Our goal is that today's meeting will be a fair and
8 open forum for discussion of these issues and that
9 individuals can express their views without
10 interruption. Thus, as a gentle reminder,
11 individuals will be allowed to speak into the
12 record only if recognized by the chairperson. We
13 look forward to a productive meeting.

14 In the spirit of the Federal Advisory
15 Committee Act and the Government in the Sunshine
16 Act, we ask that the advisory committee members
17 take care that their conversations about the topic
18 at hand take place in the open forum of the
19 meeting.

20 We are aware that members of the media are
21 anxious to speak with the FDA about these
22 proceedings. However, FDA will refrain from

1 discussing the details of this meeting with the
2 media until its conclusion. Also, the committee is
3 reminded to please refrain from discussing the
4 meeting topic during breaks or lunch. Thanks.

5 I'm going to pass this now to Lieutenant
6 Commander Jennifer Shepherd who will read the
7 conflict of interest statement.

8 **Conflict of Interest Statement**

9 LCDR SHEPHERD: Good morning. The Food and
10 Drug Administration is convening today's meeting of
11 the Pharmaceutical Science and Clinical
12 Pharmacology Advisory Committee under the authority
13 of the Federal Advisory Committee Act of 1972.

14 With the exception of the industry
15 representatives, all members and temporary voting
16 members of the committee are special government
17 employees or regular federal employees from other
18 agencies and are subject to federal conflict of
19 interest laws and regulations.

20 The following information on the status of
21 this committee's compliance with the federal ethics
22 and conflict of interest laws, covered by but not

1 limited to those found at 18 U.S.C., Section 208,
2 is being provided to participants in today's
3 meeting and to the public.

4 FDA has determined that members and
5 temporary voting members of this committee are in
6 compliance with the federal ethics and conflict of
7 interest laws.

8 Under 18 U.S.C., Section 208, Congress has
9 authorized FDA to grant waivers to special
10 government employees and regular federal employees
11 who have potential financial conflicts when it is
12 determined that the agency's need for a special
13 government employee's services outweighs his or her
14 potential financial conflict of interest or when
15 the interest of a regular federal employee is not
16 so substantial as to be deemed likely to affect the
17 integrity of the services, which the government may
18 expect from the employee.

19 Related to the discussion of today's
20 meeting, members and temporary voting members of
21 this committee have been screened for potential
22 financial conflicts of interest of their own, as

1 well as those imputed to them, including those of
2 their spouses or minor children and, for the
3 purposes of 18 U.S.C., Section 208, their
4 employers. These interests may include
5 investments; consulting; expert witness testimony;
6 contracts/grants/CRADAs; teaching/speaking/writing;
7 patents and royalties; and primary employment.

8 Today, the committee will discuss the use of
9 model-informed drug development, or MIDD, for new
10 and generic drugs, which has significantly
11 increased over the past several years. This
12 morning's agenda includes the discussion of
13 strategies, approaches, and challenges in MIDD with
14 specific focus on the approaches and evidentiary
15 information needed for applying
16 physiologically-based pharmacokinetic, or PBPK,
17 modeling and simulation throughout a drug's
18 lifecycle.

19 This is a particular matters meeting during
20 which general issues will be discussed. Based on
21 the agenda for today's meeting and all financial
22 interests reported by the committee members and

1 temporary voting members, no conflict of interest
2 waivers have been issued in connection with this
3 meeting.

4 We would like to note that Dr. Tonglei Li
5 has been recused from participating in this session
6 of the meeting.

7 To ensure transparency, we encourage all
8 standing committee members and temporary voting
9 members to disclose any public statements that they
10 have made concerning the topic at issue.

11 With respect to FDA's invited industry
12 representatives, we would like to disclose that
13 Drs. Walid Awni, Jack Cook, and Srini Tenjarla are
14 participating in this meeting as non-voting
15 industry representatives acting on behalf of
16 regulated industry.

17 Drs. Awni, Cook, and Tenjarla's role at this
18 meeting is to represent industry in general and not
19 any particular company. Dr. Awni is employed by
20 AbbVie; Dr. Cook is employed by Pfizer; and
21 Dr. Tenjarla is employed by Shire Pharmaceuticals.

22 We would like to remind members and

1 temporary voting members that if the discussions
2 involve any other topics not already on the agenda
3 for which an FDA participant has a personal or
4 imputed financial interest, the participants need
5 to exclude themselves from such involvement, and
6 their exclusion will be noted for the record.

7 FDA encourages all other participants to
8 advise the committee of any financial relationships
9 that they may have regarding the topic that could
10 be affected by the committee's discussions. Thank
11 you.

12 DR. WALDMAN: Thanks, Jennifer.

13 We will start with opening remarks. I
14 believe Dr. Shiew-Mei Huang is going to provide the
15 opening remarks.

16 **Presentation - Shiew-Mei Huang**

17 DR. HUANG: Thanks, Scott.

18 I'm Shiew-Mei Huang from the Office of
19 Clinical Pharmacology. Dr. Zineh is stuck traffic.
20 He will be here later in the morning.

21 I'd like to introduce the model-informed
22 drug development, both the opportunities and

1 challenges. But first, I'd like to thank the
2 advisory committee members for making their effort,
3 the speakers, and also especially the FDA advisory
4 and consulting staff has done a wonderful job under
5 the circumstances.

6 This slide gives the state of pharmaceutical
7 R&D in a nutshell. A major point is that there's a
8 steady increase in the R&D spending, and despite
9 the increase, the success rate remains low, and the
10 development time is long, although the exact cost
11 is always debatable. It's clear that there is a
12 substantial and growing average cost to develop one
13 new drug that is approved that reach the patients.

14 To address the pipeline problems, the FDA,
15 at least in part, has developed scientific
16 priorities to address collaborative frameworks to
17 enable new tools, standards, and approaches to
18 assess the safety, efficacy, quality, and
19 performance of FDA-regulated products.

20 The agency has enumerated several scientific
21 priority areas that, if addressed, would catalyze
22 innovation and enhance the drug development and

1 regulatory evaluation process. Here are the eight
2 priority areas, and you can see that they are
3 underlined, the regulatory science area that have
4 implementation plans and specific model-based
5 strategies.

6 There is also an increase in the focus in
7 regulatory science as part of PDUFA, the
8 Prescription Drug User Fee Act. This slide shows
9 that the key provisions of PDUFA over the years
10 allow the FDA to collect fees from the drug
11 developers to support the new drug review process.

12 Though, importantly, if you look at PDUFA V,
13 the regulatory science enhancement has included
14 model-informed drug development, for example,
15 advancing the use of meta-analysis techniques. And
16 in PDUFA VI, grouped in this current form, will
17 also have enhancement in the MIDD, the
18 model-informed drug development.

19 MIDD has already paid dividends. They have
20 been appropriately and successfully applied. Here,
21 the definition, either model-informed drug
22 development or model-based drug development, is the

1 development and application of pharmaco-statistical
2 models of drug efficacy and safety from those
3 preclinical and clinical data to improve drug
4 development knowledge management and decision-
5 making.

6 The table, you may not be able to read, but
7 it's just to show that we have used model-informed
8 drug development to improve the efficiency in
9 either drug development or regulatory approval.

10 So FDA has identified MIDD as an important
11 pathway for lowering drug attrition and dealing
12 with regulatory uncertainty. This is really why
13 we're here today, to receive input on both the
14 utility and constraints of MIDD in two specific
15 areas: one is on physiological-based
16 pharmacokinetic modeling, both for new drugs, and
17 lifecycle management, and also safety prediction.

18 The first topic, you will hear from Dr. Ping
19 Zhao and colleagues on the use of
20 physiological-based pharmacokinetic modeling on the
21 effect of intrinsic and extrinsic patient factors,
22 their effect on system component and drug

1 development component. And you will hear more on
2 defining the use and constraints of these
3 approaches.

4 Here's to show the PBPK modeling for new
5 drug, current status. The approach is indeed being
6 used in drug development to inform regulatory
7 decision-making. There are several groups across
8 CDER and other centers, and also other regulatory
9 agencies, as we will hear about it this morning.
10 They're using PBPK to inform a variety of product-
11 specific regulatory decision and also overarching
12 regulatory policy.

13 So there are two main goals. One is to
14 waive the necessary studies and still have label
15 about certain scenarios, and also to fill the
16 knowledge gaps or certain scenarios that are
17 clinically relevant but will never be conducted
18 clinically.

19 Of course, you can see here the confidence
20 level decrease as we go down to the bottom row, and
21 we're not quite there yet, but we hope we will
22 continue to improve our understanding and improve

1 the utility.

2 There is increasing interest in using PBPK
3 models to support regulatory evaluation in the
4 realm of generic drug development, and you will
5 also hear the application in this case.

6 For the second topic that we will be
7 discussing this afternoon, I'd like to have a
8 couple of slides just to highlight the need for
9 better safety prediction.

10 This one shows different stages of drug
11 development and regulatory approval. What are the
12 causes for safety-related attrition? If you look
13 at the purple color, you will see that the
14 cardiovascular effect consistently compose more
15 than 20 percent of the attrition, reason for
16 attrition.

17 This is a slide to show the PMR, the
18 postmarketing requirement, for new drugs that are
19 approved for the last five years. You can see
20 around 80 percent of new drugs, they all have PMRs.
21 This is to indicate that it is not uncommon to
22 still have knowledge gaps before we approve the

1 drug, and we need to have these issues addressed
2 after approval. The dash line just shows the rate
3 of safety-related PMR.

4 So we need new ways during and after drug
5 development and regulatory approval to fill these
6 gaps, and mechanistic model-based strategies are a
7 potential way to do that.

8 Today, we will have Dr. David Strauss and
9 his colleagues discuss comprehensive in vitro
10 proarrhythmia assay, CiPA, as you will hear
11 throughout the day. The goal is to develop a new
12 in vitro paradigm for cardiac safety evaluation of
13 new drugs that provide a more accurate and
14 comprehensive mechanistic-based assessment of
15 proarrhythmic potential.

16 There will be discussion on the four
17 important components, including the high
18 throughput, a study of ionic currents; in silico
19 reconstruction of human ventricular cardiomyocytes,
20 electrophysiology; the in vitro effects on human
21 stem-cell derived ventricular cardiomyocytes; and
22 also the evaluation of anticipated effects in

1 clinical phase 1 studies.

2 The model-informed drug development
3 challenges is including to define best practices
4 for determining a model is fit-for-purpose
5 including the discussion on validation,
6 performance/sensitivity metrics, and also, whether
7 they're platform independent; identification and
8 transparent communication of knowledge gaps,
9 critical part; the data/knowledge warehouses;
10 varying degrees of comfort by met end-users; and
11 very importantly, to have clarity on regulatory
12 expectations.

13 Today, we have for the advisory committee
14 questions related to physiological-based
15 pharmacokinetic modeling. It's two parts: What
16 information should be included in a PBPK submission
17 to the FDA to ensure adequacy of analysis for its
18 intended purpose? So should these be universal, or
19 should they be different recommendations depending
20 on purpose?

21 Second question is, based on the proposed
22 workflow, which you will hear from various

1 presentations, discuss what criteria should be used
2 to determine that the model is adequately verified
3 for the intended purpose; and if the model needs
4 modification, what considerations should be given
5 related to the modification of model structure
6 and/or parameter estimates?

7 Related to CiPA, there are three parts for
8 the advisory committee. For a QT prolonging drug,
9 will this mechanistic, model-based approach be fit
10 for the following two applications; determining
11 whether ECG needs to be collected in phase 3 and
12 whether it will inform proarrhythmic risk language
13 in the drug labeling.

14 Second question, does the AC agree with the
15 proposed approach for validating the new paradigm
16 that involves assessing 28 drugs classified into
17 low, intermediate, and high risk, by an expert
18 panel? If not, what else should be done?

19 Third, as this new mechanistic, model-based
20 approach is implemented, should the FDA collect the
21 world's experience, that is the digital waveform
22 data from in vitro experiments, to facilitate

1 future enhancements as was done by the FDA with the
2 ECG warehouse for QT studies?

3 So in overview of today's presentation, on
4 session 1, we will discuss the role of PBPK in drug
5 development and regulation. So we will have
6 overview of multi-regional regulatory experience
7 and issues; and we also will hear from drug
8 development point of view.

9 For session 2, on CiPA, we'll discuss the
10 motivation, progress, and outstanding issues with
11 model-informed dysrhythmia assessment. And
12 finally, we will have Dr. Kathleen Uhl, Cook Uhl,
13 director of OGD to close today's session.

14 DR. WALDMAN: Thanks so much, Shiew-Mei.

15 We'd now like to move on to our guest
16 speaker [sic] presentations, beginning with
17 Dr. Zhao.

18 **FDA Presentation - Ping Zhao**

19 DR. P. ZHAO: Good morning. Can people hear
20 me okay in the back? Good morning.

21 Thanks, Shiew-Mei, for the introduction.

22 The title of my presentation is Towards Consistent

1 Regulatory Assessment of PBPK to Support Dosing
2 Recommendations. I'd like to take this opportunity
3 to thank individuals whose works have been cited in
4 my presentation and also my FDA colleagues for the
5 valuable input for the preparation of my talk.

6 A drug's PK can be affected by multiple
7 intrinsic and/or extrinsic patient factors.
8 Therefore, the drug dose or dosing regimen may need
9 to be changed in a particular patient population.

10 The problem is during drug development, it
11 is not possible to evaluate individual factors with
12 the conduct of a clinical study, and it's even more
13 challenging when we have multiple factors present.

14 PBPK is a mathematical model that connects
15 drug information with that of the physiology.
16 Multiple sources of information or knowledge can be
17 integrated into these models, and one can evaluate
18 patient factors in a more explicit way.

19 PBPK modeling can be done through this
20 predict, learn, and confirm cycle. And for certain
21 questions, simulations can be applied to understand
22 the drug behavior, and in some circumstances,

1 support dosing recommendation.

2 The submissions of PBPK analysis to the FDA
3 have been on the rise. Between 2004 and 2014, the
4 Office of Clinical Pharmacology at FDA has handled
5 96 PBPK-related reviews, and this number has
6 significantly increased between 2014 and 2016.
7 Among all the intended uses, as you can see, the
8 prediction of drug-drug interactions, or DDIs, is
9 among the highest.

10 Today, we have about 40 cases in which PBPK
11 simulations have been used to support dosing
12 recommendations in U.S. prescribing information or
13 drug labels. Again, among them, three-quarters are
14 related to DDI predictions, suggesting the high
15 confidence of using PBPK in this particular
16 application.

17 In my presentation today, I will first go
18 over the evidence-based establishment of predictive
19 performance in using PBPK for different
20 applications or intended uses, and this will be
21 followed by a review of regulatory policy
22 development in assessing PBPK submissions.

1 In order to demonstrate predictive
2 performance of PBPK for intended use, we need to
3 answer a question like this. Can PBPK
4 prospectively predict the effect of CYP modulation?
5 To address this particular intended use, I listed
6 three FDA research publications.

7 The first one is an in-house modeling
8 analysis evaluating the drug models for drugs that
9 undergo CYP3A metabolism and CYP2D6 metabolism.

10 The second study specifically evaluated the
11 sponsor submissions in predicting the effect of a
12 CYP inhibition for their substrate drugs. This was
13 achieved through the analysis of an in-house PBPK
14 review knowledge base.

15 A natural extension of the second work
16 specifically looked at the prediction of CYP3A
17 induction. We focus on this enzyme because it is
18 widely assessed in modern day drug development in
19 terms of enzyme induction. Again, this was
20 achieved using the in-house PBPK review knowledge
21 base.

22 To evaluate the predictive performance, we

1 applied an R value, which equals the predicted
2 exposure ratio divided by the observed exposure
3 ratio. Here, the exposure ratio can be AUC ratio
4 or a Cmax ratio with or without a CYP perpetrator.

5 As you can see on this table, there are more
6 drugs evaluated for CYP inhibition predictions.
7 And again, for CYP induction, we only focused on
8 3A. For each work, there were several observed DDI
9 cases that can be used to validate the substrate
10 model externally, which means this information was
11 not used to develop the PBPK model of the
12 substrate.

13 One important criterion we're looking at,
14 which is in the middle of the table, is the ability
15 of the base PBPK model to describe the baseline PK.
16 It sounds very obvious, but in-house analysis
17 modeling was done by the FDA fellows so we can
18 ensure 100 percent of the cases, this criterion was
19 met. But if you look at sponsor submissions, this
20 was generally achieved.

21 The last three rows are really talking about
22 the predictive performance of DDI. Across the

1 board, more than 70 percent of the cases observed
2 the DDI magnitudes can be described by model
3 predictions. This is done within a predefined,
4 narrow predictive boundary of 25 percent. When the
5 boundary is widened to 100 percent, or twofold, all
6 inhibitions were predicted.

7 We noticed that there were several cases
8 related to CYP3A induction that fell outside the
9 boundary. Through in-depth analysis, we found that
10 these cases were all related to underprediction of
11 CYP3A induction magnitude by the rifampicin model.
12 Many of us know that rifampicin is not a specific
13 inducer of 3A, and it affects multiple other drug
14 metabolizing enzymes and transporters.

15 Overall, these findings suggest that the
16 predictive performance has been established for
17 this particular intended purpose. This allows us
18 to use PBPK for this application to support dosing
19 recommendations.

20 In order to do that, one can follow a
21 workflow as shown on this slide by first developing
22 and verifying a substrate model on a left-hand side

1 and a perpetrator model on the right-hand side.
2 Before the availability of any DDI information
3 clinically, one can use these models to simulate
4 multiple scenarios and use the simulations to
5 prioritize, design, and conduct a critical DDI
6 study.

7 When information from this critical study
8 becomes available, the information can be used to
9 verify and modify, if necessary, the substrate
10 model with respect to important assumptions. And
11 from this point on, the model is considered ready
12 to be used to support dosing recommendations.

13 I've just gone through the establishment of
14 predictive performance for one subset of DDI
15 prediction. In fact, the prediction confidence
16 using PBPK varies among different intended uses.

17 As we can see on this slide, the confidence
18 starts to decline, and the decreased confidence
19 seems to be associated with the reliance of the
20 model on drug independent aspects.

21 For example, for the prediction of PK in a
22 specific patient population, a reliable prediction

1 is highly dependent on our confidence in the
2 physiological model.

3 In 2012, we reviewed the use of PBPK by drug
4 sponsors in predicting drug PK in children. And in
5 the same work, we summarized a workflow, which
6 begins with developing and verifying a drug PBPK
7 model in healthy adult population before the model
8 can be connected to pediatric population.

9 Such models can be used for multiple
10 purposes. For example, simulations can be used to
11 design and optimize the first in pediatric PK
12 study, or the information can be used to inform the
13 drug independent component of the physiological
14 model of a particular patient population of a given
15 age range.

16 The one thing that was not discussed back in
17 2012, and continues to be a problem, was the direct
18 use of PBPK simulation to replace a clinical PK
19 study in children. It's very obvious that such
20 prospective prediction may not be reliable if we
21 don't have high confidence in the physiological
22 model.

1 The same issue applies for the prediction of
2 PK in other specific populations. The question
3 then becomes whether we should wait until all the
4 drug-independent parameters have been mapped out
5 before we can conclude the establishment of
6 predictive performance for that particular
7 population, or we can just focus on a subset of
8 drug class to begin with. By doing so, we're
9 proposing a revised workflow, and hopefully this
10 workflow will be generalizable for all specific
11 populations.

12 The workflow begins with developing and
13 verifying drug model in healthy adult populations,
14 and this is something that we have a lot of
15 experience these days.

16 The key question here is to see whether the
17 model can adequately describe the ADME processes of
18 the test drug. On the right-hand side, we want to
19 see whether there's a physiological model available
20 for use for the target population. Minimally, this
21 model should account for all the ADME changes
22 relevant to the test drug.

1 As we can see, if the answers to the
2 questions are yes, we should be able to use such
3 model with a certain level of confidence to support
4 the decision-making.

5 It's time to revisit the advisory committee
6 questions. I'm not going to read the whole thing.
7 But just to highlight that, for today, we'd like to
8 get the advisors' opinion on what information ought
9 to be included in a PBPK submission, and whether
10 FDA's recommendation should be universal
11 recommendation for all applications, or it should
12 be purpose specific. Your input will be highly
13 valuable for the continuing development of the
14 regulatory policy around PBPK.

15 This is probably a good time to take quick
16 tour of the policy development in this particular
17 research area. Many of us know that PBPK has been
18 around for many years in the field of environmental
19 science long before it took roots in drug
20 development.

21 In 2010, our toxicology colleagues have
22 published this first regulatory guidance on PBPK,

1 also known as WHO guidance. This guidance
2 continues to inspire the development of regulatory
3 policy in drug development.

4 In 2012, an opinion paper was communicated
5 based on then review experience in the Office of
6 Clinical Pharmacology at FDA. The paper also
7 provided some initial thinking on the elements that
8 ought to be included in PBPK submission by the
9 sponsor.

10 In 2014, both the FDA and the EMA organized
11 a workshop on PBPK, and the information has been
12 published in the publications at the bottom of the
13 slide. In the same year, the EMA rolled out its
14 plan to publish the draft guideline in its concept
15 paper.

16 Forwarding to 2016, we have seen the
17 publication of EMA guideline in July and the FDA
18 draft guidance in December. Both documents
19 provided recommendations on how a PBPK model
20 analysis should be prepared by a drug sponsor. The
21 EMA also provided its current thinking on model
22 qualification in its draft guideline.

1 The FDA draft PBPK guidance was developed
2 with the intent to facilitate efficient, timely,
3 and consistent review of applications using PBPK.
4 It does not address methodological considerations
5 and best practice of conduct of PBPK modeling. By
6 January 31st, which is the cutoff of the public
7 comment period, we received 10 comments from
8 individuals and organizations.

9 In summary, PBPK analysis has been routinely
10 submitted to the FDA. The confidence level varies
11 depending on the intended use. Establishing
12 confidence in physiological or drug independent
13 component of the model is critical for the
14 effective use of PBPK in the near future.

15 Thank you for your attention.

16 (Applause.)

17 DR. WALDMAN: Thank you, Dr. Zhao.

18 Our next speaker is also Dr. Zhao.

19 **FDA Presentation - Liang Zhao**

20 DR. L. ZHAO: Good morning, everyone. The
21 other Dr. Zhao just covered PBPK prospective from
22 new drugs. I'm going to cover PBPK prospective

1 from generics. I'm Liang Zhao. I'm going to
2 present Absorption PBPK Modeling and Application to
3 Support Formulation and Generic Drug Development.

4 After the introduction of modeling and
5 simulation activities at OGD, and the absorption
6 model in general, I will go through a case to aid
7 committee discussion. At the end, we'll come back
8 to the AC questions.

9 Before presenting, I'd also want to do a
10 quick flashback to the questions to be discussed
11 today. Corresponding to the case example, I want
12 to draw your attention to the first bullet point
13 under question 2. What criteria should be used to
14 determine that the model is adequately verified for
15 the intended purpose?

16 There are similarities and dissimilarities
17 in new and generic drug development. Applications
18 packages for both include the quality element
19 previously known as CMC. In contrast,
20 bioequivalent study in an ANDA package, is the
21 counterpart of preclinical studies, clinical
22 pharmacology, and clinical studies are included in

1 the NDA package.

2 One key underlying question that can be
3 addressed by a bioequivalence study is whether the
4 drugs delivered to the action site is the same way
5 for different formulations. If the answer is yes,
6 brand product can be substituted by generics in the
7 medical practice.

8 Although it has not been relatively
9 appreciated, modeling and simulation has made
10 critical impact on various regulatory activities in
11 the Office of Generic Drugs. Within the calendar
12 year 2016, modeling and simulation has impacted our
13 ANDA reviews, citizen petitions, pre-ANDA
14 interactions, including pre-ANDA meetings on
15 controlled correspondence and product-specific
16 guidance development. Certainly, all these
17 activities are supported by a broad array of
18 internal regulatory scientific researches.

19 In comparison, the new drug applications
20 bear most of the effort actually from industry.
21 This modeling effort currently resides in the OGD
22 under the support of GDUFA regulatory science

1 research program.

2 Overall, OGD uses modeling and simulation to
3 evaluate deviations from guidance or unusual review
4 situations. One key message for the firms
5 developing generics is that they can use
6 model-informed drug development before they propose
7 novel methods in an ANDA to support new BE
8 approaches, just like for new drug development.

9 One of the intended meeting objectives is to
10 have industry make good model-based submissions.
11 Physiologically-based models generally involve two
12 sets of parameters. One set is drug and
13 product-specific, and the other set is not.

14 Drug and product-specific parameters include
15 parameters for drug substance, formulation
16 characteristics, and in vitro testing results. The
17 drug and product-nonspecific parameters are ones
18 used to establish the relevant physiological
19 system.

20 The physiological system can be the GI tract
21 for solid oral dosage form or GI locally-acting
22 products, intranasal system for local or systemic

1 drug delivery, ophthalmic system for eye ointments,
2 [indiscernible] for metered dose or dry powder
3 inhalers, and skin for patches, ointments, and
4 creams.

5 Drug absorption in oral bioavailability can
6 be estimated from the fraction drug absorbed into
7 enterocyte, the fraction of a drug that crosses the
8 gut wall into the portal vein, and the fraction of
9 drug that escapes the liver metabolism. In
10 addition to passive diffusion, as you can see from
11 the bottom figure, the whole process is mediated
12 via a set of transporters and metabolic enzymes in
13 the enterocyte.

14 This diagram illustrates the workflow of
15 oral absorption model. Modeling simulations start
16 from data collection. First, we want to understand
17 the disposition, or ADME, of the drug substance
18 either by using ADME data or by using the fastest
19 dissolving formulation.

20 The next step is to establish the drug
21 disposition model to optimize parameters for
22 another formulation, which involves the product

1 information in terms of its featured release, an
2 excipient that could affect absorption.

3 We fix the parameters with high confidence
4 and fit the parameters with low confidence. These
5 parameters with low confidence can include in vivo
6 solubility, in vivo release profile permeability,
7 et cetera, depending on the intended purpose.

8 In the third step, we can further validate
9 the model with different PK data set from different
10 dosing regimens, different formulations, and
11 different food conditions, et cetera. A well-
12 qualified model with high confidence can be used to
13 aid regulatory decision-making such as by
14 conducting virtual BE simulations or scenarios that
15 have not been clinically tested.

16 What is a virtual BE study? A virtual BE
17 study, they use a model to compare a test and
18 reference formulations. The model must have
19 formulation variable that can differentiate the
20 test and the reference products. The model
21 generates a population for BE study, then compares
22 the test reference in that population. A virtual

1 BE model can be used to simulate many studies to
2 estimate the probability of success or failure.

3 The impact that a PBPK can make in the realm
4 of generic drugs range from identification of
5 clinically relevant specifications or in vitro
6 tests, such as dissolution. The equivalent
7 assessment for locally-acting drugs, identification
8 of critical quality attribute such as particle
9 size, assessment of -- alcohol dose dumping
10 risks -- risk assessment for new formulation with
11 release mechanism change risks [indiscernible],
12 bioequivalence extrapolation from healthy
13 volunteers to specific populations, waiver of
14 in vitro studies by conducting virtual BE
15 simulations, assessments of a local drug delivery
16 such as predicting GI local drug concentrations,
17 and assessment effect of proton pump inhibitor on
18 drug exposure especially for formulations that are
19 pH-dependent.

20 There are many other utilities for PBPK
21 model that's not covered here such as directing
22 formulation design, assessing excipient effect,

1 identification of major source of the inter- and
2 intra- subject variability, et cetera. Overall,
3 there is an increasing trend in using PBPK models
4 to support regulatory decision-making in the realm
5 of generic drug development.

6 This table gives the highlight of PBPK model
7 contributions in calendar year 2016, including
8 sample drugs under specific contributions the model
9 has made. Of note, the impact on PBPK model on
10 decision-making for generic drug development is at
11 the drug approval level.

12 With the highlight, I would like to present
13 the oxybutynin case along with this model
14 qualification process. The first intended purpose
15 is to quantitatively describe the delay in
16 oxybutynin absorption when oxybutynin is formulated
17 as an enteric-coated matrix tablet compared to an
18 osmotic-controlled release oral delivery system
19 tablet. The second intended purpose is to assess
20 the risk of waiving BE study for the lower-strength
21 oxybutynin extended release product. In this case,
22 the in vitro dissolution kinetics was estimated by

1 the model for the intended purpose.

2 Oxybutynin is a drug of high solubility,
3 high permeability. Hydrochloride salt is water
4 soluble and shows high lipophilicity. It's rapidly
5 absorbed once released from the formulation, and
6 it's limited with a half-life of 2 to 3 hours.
7 Absolute oral bioavailability is around 6 percent.
8 Therefore, the drug is characterized by high
9 intra-subject PK variability.

10 Oxybutynin is metabolized by CYP3A4 in the
11 gut and in the liver. There are currently two
12 major release mechanisms associated for its
13 extended-release product either based on osmotic
14 pump or enteric-coated matrix. It was used for the
15 relief from urinary and bladder difficulties,
16 including frequent urination and inability to
17 control urination.

18 Difference in the in vitro oxybutynin
19 profiles of the reference and the test product
20 allows us to view the in vitro and in vivo
21 relationship, which we call IVIVR.

22 IVIVR was developed with using a two-stage

1 process. Stage 1 involves the deconvolution of the
2 mean plasma PK profiles to about 10 kinetics of
3 individual drug absorption. Stage 2 refers to the
4 establishment of a correlation between the in vitro
5 drug absorption and the in vitro dissolution
6 profiles of the formulation. In this case, the
7 in vitro dissolution profile is different from that
8 of the in vitro dissolution profile.

9 The established IVIVR allows the testing of
10 hypothetical scenarios of product dissolution
11 failure via a waiver request from sponsor.

12 We conducted the simulations based on the
13 established IVIVR from a single ANDA for the
14 15-milligram oxybutynin strength. The simulated
15 mean and 90 percent confidence interval was
16 overlapped with mean data observed from five other
17 ANDAs. As demonstrated in the figure, the
18 simulated PK curves were able to capture key
19 features of both test and reference products for
20 the test. The simulated curve not only described
21 the delayed release under fed condition, but also
22 described the double peaks under the fasting

1 condition.

2 The physiological explanation for the
3 delayed released under fed condition is rather
4 intuitive. First, the test product can only
5 release drug in the intestine after passing through
6 the stomach due to its pH dependency. Second, the
7 fed condition prolongs the residing time of the
8 drug in the stomach. In contrast, the reference
9 product could release drug in the stomach due to
10 its mechanism of release.

11 In this product, the IVIVR was established
12 using one of the ANDAs whose data was available.
13 To further qualify the model, we retrieved online
14 PK data from the other five ANDAs and estimated the
15 mean and standard deviation of the means of their
16 PK profiles as shown as circles in the graph.

17 As a result, we got a prediction for the
18 intra (ph) study variability for oxybutynin. Of
19 note, one of the studies had a PK profile
20 significantly higher than the rest, and that's why
21 it appeared there was underprediction.

22 We do not want to bias the outcome, and so

1 we included this ANDA in the analysis. This leads
2 to another side question to the committee, how to
3 handle inter-study variability when conducting PBPK
4 model qualifications.

5 Now, we want to use the model to conduct
6 risk assessment for waiving the in vivo studies for
7 lower strength oxybutynin generic products. For a
8 specific ANDA, a 50-milligram PK profile, along
9 with their in vitro dissolution profiles, were used
10 to view the IVIVR.

11 The IVIVR was subsequently utilized to
12 generate a prediction of the PK profile for the
13 5 and a 10-milligram dose where only the in vitro
14 dissolution data, but not the PK data, were
15 available.

16 We tracked model performance. The same
17 routine was performed to predict 5 and 10-milligram
18 PK for other ANDAs where the PK concentrations are
19 available. This is shown in the plot at the
20 bottom.

21 The dose normalized PK curves were compared
22 against the dose normalized observed concentrations

1 and the performance seem to be reasonable.
2 Similarly, when a specific question is put forward,
3 for example, how would dissolution failure for the
4 lower strength impact the systemic exposure, the
5 IVIVC, generated based on the higher strength data
6 from the same ANDA, can be used to predict
7 systemics for the corresponding dissolution
8 profile.

9 Case conclusions. As mentioned earlier, the
10 in vitro dissolution data does not appear to be
11 predictive of the in vivo drug release; they are
12 different for this case. The developed mechanistic
13 absorption PK models described the key features in
14 their PK curves for both the reference and test
15 products, including the delayed release under fed
16 condition and the double peaks under fasting
17 condition for the test product.

18 The established IVIVR can be utilized for
19 risk assessment for waiving in vivo studies for the
20 lower strengths when bioequivalence has not been
21 established at a lower strength, but at a higher
22 strength.

1 Before the end, let's revisit the model
2 qualification. First, model start from data
3 collection, which is viewed as available knowledge.
4 Based on the intended purpose, we fit the
5 parameters with high confidence and fit the
6 parameters with low confidence based on the
7 available in vivo data that can lend us more
8 knowledge for the parameters that we are fitting.

9 The model can be further qualified with more
10 in vivo data that can gain us more confidence.
11 Once the model is qualified, it can be used to
12 simulate PK profiles for other scenarios that have
13 not been clinically tested but within the scope of
14 model qualification. All these simulations can be
15 potentially used to inform regulatory
16 decision-makings.

17 Before the next presenter, I just want to
18 restate the questions to the committee. First,
19 should model modification/verification be based on
20 the intended purpose?

21 Second, based on the workflows I've
22 described, what criteria should be used to

1 determine that a model is adequately verified for
2 the intended purpose?

3 When the model needs modification, what
4 considerations should be given related to
5 modifications of model structure and/or parameter
6 estimates?

7 With that, I want to thank all the committee
8 members, and presenters, and audience. I'm looking
9 forward for constructive discussion in the
10 following sessions.

11 (Applause.)

12 DR. WALDMAN: Thank you, Dr. Zhao.

13 We're going to move now from our FDA
14 presentations to our guest presentations. Our next
15 speaker is Anna Nordmark. She's a pharmacokinetic
16 assessor, Medical Products Agency in Sweden, and a
17 member of the Modeling and Simulation Working
18 Group, European Medicines Agency.

19 She's going to be speaking to us about PBPK
20 submissions and review experience in the European
21 Medicines Agency and EMA draft PBPK guidelines.
22 Thank you.

1 **Guest Speaker Presentation - Anna Nordmark**

2 DR. NORDMARK: Good morning, everyone.

3 Thank you, Ping, and other FDA colleagues for
4 inviting me to present aspects regarding EMA and
5 the EMA draft PBPK guideline.

6 Why a PBPK guideline in Europe then? Our
7 title of our draft guidance is Guideline on the
8 Qualification and Reporting of PBPK Modeling and
9 Simulation. The draft was released in July last
10 year, and the public consultation ended in January.

11 We, as well, in Europe, see an increase in
12 PBPK submissions. These are central procedures
13 sent to EMA, and you can see a steady increase over
14 the years. This paper ended in 2015. I don't have
15 any data on last year.

16 The purpose or intended use of these
17 submitted models, you can see here. And there are
18 more here than the actual submissions that you see
19 on the last page. But that's due to -- one model
20 was used for more than one purpose. But as you, as
21 well, can see here that the DDI aspects are the
22 majority of the intended purpose.

1 You also can say that the submitted models
2 were either directly supplied by the applicant, but
3 also they could be a response to a question from us
4 regulators. The table doesn't say anything about
5 if we approved the model or not. It's just a list
6 of submissions.

7 But why a PBPK guideline? We see an
8 increase in submissions. We also see that the
9 qualification of the intended use is mostly
10 lacking, or the confidence in the intended use is
11 lacking. I will get back to these questions.

12 But we also see that the reports of the PBPK
13 simulations do not contain enough details. And in
14 Europe, we don't do any de novo analysis; we only
15 look at the data that we see from the applicant.
16 Therefore, it's important that the reports are good
17 and contain enough details so we can do a secondary
18 assessment.

19 Mostly, one of the aspects that I think
20 personally is important is there is not enough
21 sensitivity or uncertainty analysis in these
22 models.

1 But what do we mean by qualification in our
2 EMA draft guidance? The qualification is related
3 to the PBPK platform. There's nothing about the
4 drug model.

5 The question we will ask is, is there enough
6 scientific support for a certain use for that
7 particular platform? That is the question that we
8 would like the applicant or the vendor to answer to
9 us. And it could be related to DDI. It could be
10 related to mechanistic absorption modeling. It
11 could be extrapolation of PK data into special
12 populations or in younger children, for example.

13 We think that qualification is important for
14 higher regulatory impact decisions. What do we
15 mean by that? Higher regulatory impact, some
16 examples are all changes to the label. And in
17 Europe, we call the label SmPC, summary product
18 characteristics. It could also be that you waive a
19 study or that you use a PBPK model to non-studied
20 scenarios. You extrapolate outside your studied
21 area.

22 We also see a lot of PBPK models in Europe

1 that have medium regulatory impact decisions, and
2 we mostly see them in pediatric investigation
3 plans. Here, you have your pediatric dose setting,
4 which will be confirmed by a clinical study.

5 Here, at the moment, we are discussing how
6 much qualification of the platform we will have, if
7 it's needed or not. We will see where we end up in
8 the final slide. But for high regulatory impact
9 decisions, the platform needs to be qualified.

10 Some other aspects on why we want to have
11 qualification in Europe, in EMA, we are 28
12 countries that do assessments in Europe, so this is
13 a way of harmonizing assessment through the
14 European countries. We also think that presently,
15 in all aspects in the platforms are entirely
16 scientifically justified and not suitable for high
17 regulatory impact decisions. So the confidence is
18 mostly low in many of the intended use. Of course,
19 this will change over time when we will have more
20 data, and also as the science improves, the impact
21 or confidence will increase.

22 From our view, this is not a restriction or

1 hinder in this area. It's expected to improve the
2 acceptability of the models in Europe and by
3 European regulators.

4 We also describe in the guideline various
5 ways of how you can qualify. I will not go into
6 details there because this is a draft guideline.
7 But what is important is that the data set will be
8 similar, and it should also allow us a secondary
9 assessment, submission of the data set for the
10 qualification.

11 The qualification data set that is used for
12 the intended purpose should be prespecified. It
13 should be the same data set irrespective of the
14 different processes that we suggest. An applicant
15 or a vendor should describe the criteria for the
16 drugs and parameters, therefore these drugs should
17 be described in details. The data set should, if
18 possible, cover a range of PK characteristics that
19 could influence the outcome of the predictions.

20 I will show you some case examples. This is
21 the case example 1, and here is the intended
22 purpose to predict whether a drug is an in vivo 3A4

1 inhibitor in adult healthy subjects based on
2 in vitro K_i .

3 Here, if you should qualify this platform
4 that is used, you should show the capacity to
5 detect the observed in vivo inhibitor effect of
6 different inhibitors of 3A4 on sensitive 3A4
7 substrates. And the data set should include a
8 large number of inhibitors of different potency,
9 and you should have both in vitro and in vivo data.
10 And it depends on if it should be qualitatively or
11 quantitatively predict the DDI. It depends, so you
12 should address also if you have those negatives in
13 your data set.

14 Another case example is the intended purpose
15 is to use PBPK to predict the PK of a drug in
16 children below 6 years of age, so the clinical PK
17 data is very limited in this age group.

18 We think here that the qualification of the
19 platform should show the capacity or predict the PK
20 of same enzymes that are involved in drug X, with
21 the PK using external or literature data from
22 children in the same age range.

1 The data set should be able to predict the
2 PK of compounds metabolized via the same enzymes as
3 drug X in children. It could be other parameters
4 that you also need to take into account. It
5 depends on drug X, the [indiscernible] class or
6 what absorption characteristics it has, and you
7 should do it with adequate performance. It should
8 not be way off.

9 Some other aspects that we have in our draft
10 guideline is that you should verify your PBPK
11 platform. There are a lot of equations in the
12 models or in the platforms. You should be able to
13 show to us that you don't have any mathematical
14 errors or you should maintain the mass-balance, for
15 instance, within the platform.

16 As an applicant, you should also do an
17 installation control of your platform, so you
18 should show to us the key functionality of the
19 program should be tested in the computing
20 environment.

21 If there are supplied files in your PBPK
22 platforms, you should show to us the advocacy of

1 the PK of that file. If it's an inhibitor or if
2 it's a prodrug, you need to confirm that it
3 actually can predict the PK of that inhibitor or
4 substrate. And if you use some inhibitor file, for
5 instance, you should also show to us that the
6 in vivo effect of the inhibition is well predicted
7 using a number of in vivo studies. Looking at the
8 substrate file, when it comes to DDI, the fraction
9 metabolized should be confirmed.

10 Some review experience, thoughts from
11 myself, missing from the reports. One aspect is,
12 of course, that the intended use is not always
13 clear, what the applicant will do with the model or
14 the simulation. But when it comes to the drug
15 model, observed versus predicted data should be
16 shown, of course. Often, we see AUC and Cmax
17 predictions, and we see also the plasma
18 concentration time profile, or we have some ratio
19 of AUC/Cmax. It depends if it is an inhibition or
20 something. But we also would like to see the
21 half-life reported.

22 When it comes to prediction, we also want to

1 have a discussion of the prediction, if it's
2 adequate or not, and that could be done taking the
3 exposure response and safety data into account and
4 have discussions around that. It's also important
5 to discuss the variability, if you can capture that
6 or not in your model.

7 One other aspect, as it's close to my heart,
8 is of course that we generally see a lack of
9 investigation of uncertainty in the models, in the
10 drug model. So we do not often see investigation
11 of sensitivity analysis. And if we see that, it's
12 one parameter at a time, and that could work. But
13 we are simulating a body, and we would like to see
14 more assessing of a multiple sensitivity analysis
15 at the same time, assessing parameters at the same
16 time.

17 It should be performed for all parameters
18 that are likely to influence the outcome, and it
19 should also discuss the impact of any sensitivity
20 analysis or uncertainty analysis. It could also
21 include system parameters that are uncertain, such
22 as kdeg for instance, if you do time-dependent

1 simulations. But mostly, sensitivity analysis is
2 performed on drug-dependent parameters.

3 That was all from me. Thank you.

4 DR. WALDMAN: Thank you.

5 (Applause.)

6 DR. WALDMAN: Our last guest speaker is Neil
7 Parrott, distinguished scientist, Roche Pharma
8 Research and Early Development, Roche Innovation
9 Center, Basel, Switzerland, and he's the leader of
10 the Innovation in Quality Consortium Working Group
11 on PBPK guidances. He's going to speak to us about
12 experience, opportunity, and challenges in
13 submitting PBPK analyses to regulators and comments
14 to EMA and FDA draft PBPK guidance documents.
15 Thank you very much.

16 **Guest Speaker Presentation - Neil Parrott**

17 DR. PARROTT: Thank you very much for the
18 opportunity to present here. I will present on
19 behalf of the IQ Working Group. And this slide
20 shows you the members of this working group. We
21 have members who represent PBPK specialists within
22 23 major pharmaceutical companies. This working

1 group has been together, as you can see, from July
2 of last year when we started our work of reviewing
3 both the EMA and the FDA guidance documents. And
4 we've had regular discussions and have provided our
5 written feedback to both agencies.

6 In the presentation today, I will share the
7 experience of the industry working group in terms
8 of PBPK for regulatory applications. I will cover
9 the opportunities that we see in the release of
10 these two draft guidance documents. I will cover
11 also the challenges that we think need to be
12 overcome to obtain maximum benefit from PBPK in the
13 future. Then I will respond to the two questions,
14 which have been raised by FDA for this meeting, and
15 finally conclude with some comments to both draft
16 guidance documents.

17 With this slide, I'm using the figure, which
18 was taken from a white paper, which was published
19 by the IQ Working Group back in 2015. This slide
20 shows how PBPK now is being used right through from
21 early discovery through to late development within
22 the industry.

1 Indeed, the majority of the applications
2 that have been used now will never be seen by the
3 regulators. They are made for internal decision,
4 support, and not for regulatory interactions.
5 However, the focus of today's discussion is on the
6 late development use and regulatory questions.

7 So the IQ Working Group is very positive
8 about the release of these two guidance documents,
9 and we are very motivated to work with the
10 regulators to enable a rapid implementation of
11 these guidances.

12 We are positive that this guidance can be
13 very beneficial in supporting the further expansion
14 of PBPK to new applications by providing a firm
15 basis. Also, importantly, we see now the
16 opportunity to align across the industry and with
17 regulators to perform the qualification of these
18 models that is needed and to share that work across
19 the industry so that the PBPK modeling is optimally
20 leveraged.

21 An area where we see a major opportunity
22 here is now to align on the areas where there is

1 high confidence in the application of PBPK
2 modeling. And again, referring to this white paper
3 from 2015, in that paper, we drew up the different
4 types of application. And on this slide, I'm just
5 showing those where, across the industry, we agreed
6 that there is high confidence in applications. And
7 now we see the opportunity to share that confidence
8 with the regulators and achieve alignment on all of
9 these applications.

10 However, to appreciate the full benefit of
11 PBPK, we need to overcome some challenges. One of
12 the challenges we perceive is that guidance, which
13 is too rigid and too prescriptive would limit the
14 expanding application of PBPK modeling.

15 So the guidance, we accept that the guidance
16 needs to define acceptable modeling processes and
17 that verification is certainly needed, but this has
18 to be balanced without being too prescriptive so
19 that there is room for growth.

20 Another challenge we see is associated with
21 the need for the model qualification. And if each
22 company is faced with this large burden of

1 qualification, then this could be seen as onerous
2 and could limit the usage because if there's too
3 much investment needed in qualification by each
4 company, then the easier path will be to take the
5 traditional approach and perform clinical studies.

6 So we see it is extremely important to have
7 an efficient collaboration between the industry and
8 the software vendors with the acknowledgement of
9 the regulators in order to support this model
10 qualification.

11 Another challenge that we perceive is if the
12 expectations vary between the regulatory agencies
13 regarding the qualification and the level of
14 confidence associated with different applications.
15 Of course, the problem here could be that if any
16 one agency still requires clinical data in order to
17 proceed, then the benefit of the PBPK modeling,
18 from the industry perspective, will be greatly
19 reduced because the investment will be, as before,
20 with the need to conduct that clinical study. And
21 of course, if that's the case, this will be
22 ultimately to the detriment of optimal drug

1 development.

2 So we think that there's need for a clear
3 consensus on the qualification requirements among
4 the agencies, and that will help to promote the
5 progress in use of PBPK.

6 Now, I'd like to turn to the questions,
7 which were raised by the FDA for this meeting. I'm
8 not going to read through this question, I think,
9 because we've already covered these and move
10 directly to our response, which is around how do we
11 ensure the adequacy of the modeling submission for
12 the intended purpose?

13 To answer this question, I'd, again, like to
14 refer to the white paper where we address this and
15 acknowledge that the level of verification that is
16 needed for modeling will depend clearly on the
17 application that is under consideration.

18 So clearly, if the modeling is being used to
19 waive a clinical study, then the burden of a
20 qualification will be higher than for an
21 application, which will subsequently be confirmed
22 by clinical data.

1 The principles, which we identified as key
2 in terms of the qualification and the adequacy of
3 qualification, of course, all of the modeling
4 should be done in the context of the exposure
5 response for the drug and the safety in terms of
6 the patient population. That has to be utmost in
7 the considerations.

8 We also recognize that in certain areas, the
9 science is less mature, and there is need for
10 adequate qualification for certain types of
11 processes where science is less mature.

12 The submission should make very clear the
13 assumptions in the modeling, and those assumptions
14 should be physiologically plausible, and sound, and
15 supported by in vivo data.

16 We recognize the need for sensitivity
17 analyses to be performed on all those model
18 parameters where there is uncertainty, and we also
19 recognize that all of the components supporting the
20 modeling which are submitted, be it associated
21 compound models or special population models, must
22 be defended by the supplied documentation, and

1 ideally by peer-reviewed publications.

2 Moving to the second question from the FDA,
3 and this question refers to two separate figures
4 which describe workflows, and we'd like to address
5 those separately.

6 The first figure shows the workflow for a
7 drug-drug interaction related to metabolism.
8 Concerning this, validation of the CYP3A modulation
9 has been most extensively performed, and this has
10 been carried out with large data sets, including a
11 large number of substrates and inhibitors for the
12 CYP3A enzyme.

13 We consider that this is really a
14 particularly challenging example because of the
15 fact that the CYP3A enzyme is expressed highly
16 variably, both in the liver and in the gut. So we
17 think it would be going too far to require the same
18 level of validation and large data set to support
19 additional CYPs. Indeed, that would simply not be
20 possible because the amount of clinical data that
21 is available to support that level of qualification
22 is not there.

1 So we recognize the need to build sufficient
2 confidence for other CYPs, but we have to do that
3 also recognizing the available data sets. We put
4 together proposals for how that might be done for
5 additional enzymes, and we used the CYP2C9 as an
6 example.

7 So we think that the first step should be
8 having a model for a validated substrate, which is
9 largely cleared by the enzyme, in this case 2C9,
10 and that has to be well-characterized. And then
11 the qualification has to be performed with a number
12 of inhibitors of that enzyme with predictions in
13 agreement with clinical data.

14 The level of agreement is, of course, as
15 referred to in question 1, dependent upon the
16 application, but often, within twofold, is
17 considered.

18 Then for high-impact applications, as shown
19 in the figure 2, we recognize that there will be a
20 need to perform a single clinical study with a
21 well-characterized substrate in order to verify the
22 model prediction before making use of the modeling

1 to waive any clinical studies.

2 In this slide, we describe similar steps for
3 a 2C9 victim, which I will not go through in detail
4 here because of the interest of time. But rather,
5 moving on to the figure 3, which describes the
6 different application area, this one referring to
7 mechanistic absorption, we consider this as a nice
8 illustration of the application of mechanistic
9 absorption modeling or a formulation-related
10 application where a lot of clinical data would be
11 available for different formulations.

12 However, we'd like to note that within the
13 industry, within companies, a lot of the absorption
14 modeling that is done is at an earlier stage of the
15 development process and is for applications, which
16 would not be covered by this particular example.

17 So from the white paper, we had a number of
18 examples where absorption modeling was used, and
19 we'd like to consider that those applications could
20 also be qualified and become more widely used in
21 terms of regulatory applications.

22 However, there's need for the qualification

1 in order to do that. As an illustrative example
2 here, we've considered the use of absorption
3 modeling to predict the effect of food on the
4 drug's exposure. This is something which is quite
5 frequently done within the industry, and there are
6 many examples that, some of which we collected in
7 the white paper.

8 When we look at this in terms of all drugs,
9 it might seem a rather daunting prospect, so we
10 think that it needs to be broken down in terms of
11 the types of molecule, which could be qualified.
12 And whereas in the CYPs, we break it down by CYP,
13 in the case of the food effect, we think that the
14 BCS classification could be one way to break down
15 the qualification into subsets, which could be
16 associated with more or less confidence.

17 So for example, for BCS 1 and 2 molecules,
18 we think there could be more confidence in this
19 type of predictive modeling. Of course, we'd need
20 to qualify it with some well-defined reference
21 drugs, and we accept also for the later use where
22 we are waiving studies, there could also be the

1 need to perform a single clinical study to verify
2 the model predictions before going on to apply the
3 modeling to additional clinical scenarios.

4 With that, I come to the last slide in my
5 presentation, and with this, I'd like to thank both
6 of the agencies for the production of these helpful
7 guidance documents.

8 As I said at the beginning, we see this very
9 positively, and also, we see these workshops and
10 meetings -- this workshop today, but also the EMA
11 workshop, which took place last year in November,
12 these are very important opportunities to increase
13 the discussion. And from the IQ side, we would
14 welcome further discussion with the agency to
15 address some of the open questions and come to a
16 consensus around these applications. Thank you
17 very much.

18 (Applause.)

19 **Clarifying Questions**

20 DR. WALDMAN: Thank you very much.

21 So are there any clarifying questions for
22 the FDA or guest speakers from the panel? Please

1 remember to state your name for the record before
2 you speak. If you can, please direct your
3 questions to a specific presenter. Please.

4 DR. POLLI: James Polli. I have a question
5 about the last question, which starts when the
6 model needs modification. I just want to
7 understand what that means, and it sort of implies
8 that sometimes models don't need modification,
9 given the context of new drug development where the
10 chemical is new, the model may not be modified.

11 I guess my question is for Dr. Zhao.

12 (Laughter.)

13 I'm sorry. Ping Zhao. Sorry. Your slide
14 number 10 where you have a workflow, it seems to
15 imply there are things that change. So is it
16 possible to not have any modifications to the model
17 even though you have a new chemical entity?

18 DR. P. ZHAO: Yes, as I presented in my
19 second slide, where I sort of laid out the general
20 use of PBPK and I emphasized that this approach
21 particularly is really scrutinized in an iterative
22 way -- so it's predict and confirmed, I think as a

1 modeler or a person who is preparing this modeling
2 workflow for intended purpose, he or she can choose
3 to modify or not modify. That really depends on
4 whether the new information that's being generated
5 can help us to refine the model.

6 Though I see it is a necessary step. In
7 some circumstances, you don't have that information
8 probably or just stay with what you have. And then
9 the question becomes, at that particular point,
10 whether we have confidence. Then from the
11 regulatory review standpoint, the reviewer may not
12 be convinced by the current model, and then it will
13 raise additional questions.

14 So it's a common kind of a platform to allow
15 this kind of a more in-depth discussion to happen.
16 For example, if we don't have a confidence of a
17 submission, which was purely based on phase 1,
18 single-dose PK data -- you did model building, and
19 then you have the single-dose PK data -- without
20 knowing there might be some kind of absorption-
21 related nonlinearity or enzyme saturation-related
22 nonlinearity in the absence of available multiple

1 dose data or dose escalating data, then it can be
2 questioned about at that point, you don't have the
3 model, you don't have the data.

4 But once you're in now phase 1B or early
5 phase 2A, when you have that information, you say,
6 hey, I have this additional information. Allow me
7 to understand the observed nonlinearity.
8 Therefore, we went on and did the modification, and
9 here's the updated model.

10 I think this is where we're kind of trying
11 to get the opinion from the advisors. This is done
12 in a way that is not very common, the "conventional
13 modeling" where it's mainly data-driven. So you do
14 the fitting, you get parameters, and you conclude
15 there whether you have the model can be used.

16 But PBPK is really through the entire
17 continuum of drug development. One model could be
18 very different at end of phase 1 versus phase 3.
19 Then the question is what level of scrutiny we
20 should put on top of the assessment of such model
21 when we see the modification, whether we should be
22 panicked or whether we should be more receptive.

1 DR. WALDMAN: Yes, please. Dr. Au?

2 DR. AU: Jessie Au. I have a question for
3 both Dr. Zhaos. The first Dr. Zhao, in your
4 slide 8 -- and they're related. Your slide
5 number 8 and Liang Zhao's number 4, here you talk
6 about tissue concentration and drug delivery to
7 target sites.

8 Then to the other Dr. Zhao, you talk about
9 bioequivalence, and you mentioned whether
10 they -- how do you say it -- is the drug delivered
11 to the action site in the same way for different
12 formulations.

13 So we dig back into the mathematical
14 underpinning of PBPK when it was developed in the
15 late '70s. It's primarily for small molecules.
16 And I think the last speaker also referred to it
17 that it works well for small molecules that use
18 small diffusion transport.

19 However, today we're dealing with molecules
20 that are every different now. I mean, antibody is
21 one because that's found very heavily. But we're
22 dealing also with nanotechnology, which is no

1 longer a diffusion-base transport. And I'm talking
2 about interstitial transporter; I'm not talking
3 about membrane transporter.

4 So in talking about interstitial transport,
5 you can have a nanotechnology like Abraxane versus
6 paclitaxel, which is [indiscernible], an entirely
7 different distribution because the nanotechnology,
8 they move mainly by convective transport and not by
9 the diffusion.

10 If I look at your PBPK and the question you
11 asked us for advice, the one thing came to mind is
12 it's very difficult to have very rigid guidelines
13 when you're dealing with very different drug
14 entities that, by nature of the physical
15 properties, are transported interstitially very
16 differently.

17 So that's number 1. And my second question
18 is, PBPK doesn't allow you to look at an organ in a
19 spatial-dependent manner. It allows you to look at
20 time-dependent manner. If you take an organ that,
21 for example -- cancer is a great example. Every
22 part of the cancer is different. That's totally

1 not in the PBPK.

2 So in that context, I wonder if you can
3 share with us what you have for experience
4 with -- I'm sorry. Is my question okay still?

5 All right. With different drug entities,
6 number one, that have different transport,
7 interstitial transport mechanism; and number two,
8 how do you deal with spatial-dependent effect? So
9 within an organ, the left side of the organ, the
10 right side of the organ, how do deal with that?

11 DR. P. ZHAO: I'll go first, and then I'll
12 defer to Dr. Liang Zhao. Thank you for the
13 question.

14 This is a very important point that you've
15 made. And as you correctly captured on this slide,
16 this is at the bottom of the table where, back in
17 2014, we felt that, number one, the biggest utility
18 of PBPK -- kind of a no-brainer that it is for the
19 area that we cannot measure, for example, tissue
20 penetration.

21 But based on the assessment back then, we
22 felt that we should categorize within that into the

1 lower confidence area for the moment because,
2 number one, to sort of to answer one of your
3 questions, we don't have much experience seeing
4 submissions dealing with tissue penetration. There
5 are emerging data like a liver safety prediction
6 using some platform, but we hope we could see more
7 coming in.

8 Your other question regarding the spatial
9 distribution, I think that's probably something
10 that I will not be able to answer from an academic
11 scientific standpoint. But I can observe the
12 emerging trend of the so-called traditional PBPK
13 modeling to system pharmacology, that is quite hot,
14 quite hot in these days.

15 I think scientifically, it will not be long
16 before we can venture into the spatial question.

17 DR. L. ZHAO: I can add on Dr. Ping Zhao's
18 comment. I think Dr. Au's question is intertwined
19 with the first question. What we observed is only
20 plasma PK for small molecules. With the observed
21 plasma PK, you see bioequivalence, but are you sure
22 that the drug is being delivered to the action site

1 with the equal amount or equal time concentration
2 profile? That's always a challenge.

3 I think if you -- for model modification, so
4 if you see a pattern that cannot be described by
5 the current way of understanding, there are
6 multiple ways to see the pattern. Based on the
7 approach you choose, then the local concentration
8 that has been informed could be different, but it's
9 only with the systemic PK nicely from
10 [indiscernible].

11 I think depending on the understanding of
12 the formulation, also the physiology, again we come
13 to the bottom line of PBPK model. For
14 nanoparticles, you need to understand that the idea
15 behind the nanoparticle under current treatment
16 could be the blood vessel is leakier for cancer,
17 and you design the right site for the nanoparticle
18 pass-through to the cancer site but not to the
19 normal tissue site.

20 That needs to be verified by in vitro
21 experiments. Once we have the in vitro experiment,
22 knowledge can form in vitro study, we can

1 incorporate that knowledge into the model, building
2 model modification. I think model modification
3 cannot live without solid data support.

4 Regarding the second question, regarding the
5 spatial distribution, this is just my personal
6 opinion, the current PBPK model, even systems
7 pharmacology, do not take the engineer part into
8 account.

9 We do need some engineering knowledge to be
10 integrated with PBPK modeling technique. That
11 should be the next step, and that should be
12 addressed. Cancer is a complex entity. It has
13 different -- it's not a homogeneous matter. It has
14 angiogenesis and has different pressure, and that
15 all needs to be addressed not only by
16 pharmacometricians but should engage engineers in
17 this regard.

18 DR. WALDMAN: Thank you.

19 Dr. Sun, then you. Dan. Sorry. Dan.

20 DR. RODEN: This is maybe sort of a question
21 that betrays my naïveté about modeling or my fixed
22 ideas about modeling, and I'll try to keep it

1 short.

2 One, I understand the virtues of modeling in
3 terms of understanding bioequivalence of a generic
4 issue, but it seems to me that if you take one step
5 back, one of the goals of modeling is to look at
6 special populations and try to predict effects.

7 What's missing in all these models is the PD
8 part of it because there's variability in the PD
9 piece after the PK piece is considered. And then
10 just to add icing to the cake, the other piece
11 that's missing is the PG piece, which is the
12 prediction -- you had an outlier in your
13 time -- I'm think I'm talking to Dr. Ping
14 Zhao -- you had an outlier in the talk, and the
15 outlier -- and the question is whether you can
16 include the outlier or not. But the history of
17 clinical pharmacology in general and
18 pharmacogenetics is littered with the idea that we
19 have to include the outliers because they may be
20 important and informative in pharmacogenetic.

21 So I guess my question is, to what extent
22 should the modeling go beyond pharmacokinetics and

1 include a predicted drug response? For example,
2 the bioequivalence stuff, if the concentrations
3 vary twofold -- but it's well within a therapeutic
4 range, I don't care.

5 If the concentrations vary twofold and it's
6 a very tight therapeutic range, I care deeply. So
7 it seems to be that there has to be a PD as well,
8 and then layered over the whole thing is
9 the -- just comment on that.

10 DR. WALDMAN: Ping or Liang, you want to
11 comment?

12 DR. P. ZHAO: Yes. I would just comment on
13 the PD part. I think, for some reason, when I look
14 at the slide, which is a simplified slide from our
15 communication of the 2014 workshop -- again, the
16 pharmacodynamic is something that's under the
17 radar, but this has also been a low confidence area
18 because we just simply do not have sufficient
19 submissions that we can look at. And we're
20 definitely monitoring the literature in terms of
21 the predictability of pharmacodynamics.

22 When we get to that area, I think there are

1 multiple dimensions depending on which target we're
2 looking at and what biomarker.

3 DR. RODEN: This afternoon, we're going to
4 be talking only about PD. So it's not like it's
5 sort of complicated, but just keep your eye on it.
6 I mean, that's part of the drug response equation.

7 DR. L. ZHAO: I can add some thought on
8 that. If we talk of PD, how we handle response
9 relationship on new drug and generics are very
10 different. On a new drug, if there's optimized
11 benefit risk profile, the thing's good. For
12 generics, we just want to prove the product
13 identical to the new drug set.

14 In that regard, we do take PD response or
15 even clinical response into account. We categorize
16 the drug. If there's a steep exposure response
17 curve relationship, then we come -- if the drug
18 also is associated with low within subject
19 variability, we apply a more tighter criteria for
20 the BE assessment. We can classify that drug into
21 the narrow therapeutic index drug list. So that
22 category has a much tighter control, claim of

1 bioequivalence.

2 I don't know if that kind of addresses your
3 question.

4 DR. WALDMAN: Shiew-Mei, do you want to
5 comment?

6 DR. HUANG: Yes. I agree with Dan that PD
7 is very important, PKPD. So the systemic exposure
8 or tissue exposure versus response relationship is
9 critical in explaining any of the pharmacokinetic
10 changes.

11 I think Ping, when he mentioned the
12 drug-drug interaction prediction using PBPK, it's
13 important to see which direction we're looking at
14 it. If we're looking at modulator effect, then
15 we're interested in the extent of change that's to
16 define the modulator effect. So you wouldn't need
17 to think about exposure response. We have certain
18 definition. How do you affect this enzyme
19 activity, whether it's strong, moderate, or weak
20 inhibitors?

21 But on the other hand, if we're looking at a
22 drug as a substrate, then the effect, whether you

1 have twofold changes, threefold changes, then we
2 really need the PKPD relationship. That's a very a
3 key point.

4 DR. WALDMAN: Thank you.

5 Dr. Awni?

6 DR. AWNI: I was going to ask Dr. Ping Zhao,
7 philosophically, when you look at the clinical
8 data, when one looks at the briefing document and
9 some of the statement made with regard to the value
10 of the clinical data, do you look at the clinical
11 data as just nice to have or a must have?

12 Because when one looks at the drug
13 development process, the only time you really don't
14 have any clinical data on new drug molecule is just
15 before you go to the first in-human; the first few
16 subjects, and the first in-human teach us quite
17 about the behavior in a human.

18 So philosophically, is it just to verify is
19 the clinical data there to verify the in vitro
20 parameter we had thought we should be using in
21 the -- or really is to optimize and is central. It
22 must depend on the in vitro and in vivo data to get

1 some valuable prediction.

2 DR. P. ZHAO: Thank you. That's very a good
3 point. Basically, in any of our workflow, it
4 starts with these terms of building and verifying.
5 So verifying, what we meant was a verification
6 based on the clinical data.

7 Personally -- and I think this probably will
8 be agreed by the PBPK modelers from industry who
9 are preparing these things these days. I'm talking
10 about 10, 15 years back, about PBPK as a purely
11 bottom-up approach. Nowadays, it's essential to
12 look at the model, whether it has been considering
13 the available clinical data. It's a must to me.

14 DR. WALDMAN: Very good. Xander, then
15 Dr. Polli, then Dr. Sun.

16 DR. VINKS: This is a question for Dr. Liang
17 Zhao about -- one of the things that was
18 complicated is having your model really predict the
19 data that is being showed in your oxybutynin
20 example, where you state the model reasonably well
21 predicted the data.

22 That's always a problem. So could you

1 elaborate a little bit more, do you see this as
2 reasonably well predicted, and how that then is
3 being integrated into your assessment and how you
4 get to the [inaudible]?

5 For an experimentalist, this may not be the
6 best model fit, if you will, but still there is
7 very important information there. And I'd like to
8 hear from you what steps and the thought processes
9 are.

10 DR. L. ZHAO: That's a very good question.
11 Regarding with oxybutynin model qualification,
12 that's the nice thing to work with Office of
13 Generic Drugs. There, we can take advantage of
14 lots of in vivo PK data available.

15 So for a certain ANDA, if it only has like
16 the higher strength drug PK, what will make us
17 comfortable to extrapolate the PK to the lower
18 strengths dosage form, we can take other ANDAs,
19 which has the PK from the lower dosage form.

20 Then we use the same routine to derive
21 in vitro/in vivo relationship between the in vitro
22 dissolution profile and the in vivo dissolution

1 profile. Because we are not sure of the ANDA in
2 question to down extrapolate the PK profile to the
3 lower strengths, we do that for other ANDAs,
4 observe concentration for the lower strengths.

5 If for those ANDAs, we are not fitting the
6 PK for the lower strengths, we just extrapolate
7 from a higher strength to a lower strength based on
8 their dissolution profile.

9 If all those practices work and we see a
10 match, they can really predict a PK profile from
11 lower strengths and we are using the same routine
12 for this ANDA, that will gain us very high
13 confidence, okay, if there's a difference in
14 dissolution release, then we can use the model,
15 apply the same routine to extrapolate to the lower
16 strengths performance. That's kind of how we
17 validated the model.

18 I'm not sure if I'm clear in addressing your
19 question.

20 DR. WALDMAN: Xander, did that answer your
21 question?

22 (Dr. Viniks nods in the affirmative.)

1 DR. WALDMAN: Okay. Dr. Polli?

2 DR. POLLI: I think I have an easy question,
3 and it has to do with the word "verification."
4 Dr. Nordmark used the word "qualification"
5 typically. Are they pretty much the same concept?

6 DR. WALDMAN: That was a no.

7 (Laughter.)

8 DR. WALDMAN: Can you expand on that?

9 DR. P. ZHAO: Based on our communication
10 with the EMA colleagues, in the period preparing
11 the document, the guidance separately, I think
12 "verification," we use "verification" mainly for
13 the development of a specific drug model. And once
14 you have clinical data, phase 1, phase 2, to
15 qualify the drug, you verify the drug model for the
16 next step used.

17 When the DDI information becomes available,
18 that particular DDI data can be used to, again,
19 verify the assumption. So it's only a subset of
20 the model component that can be verified.

21 The DDI data, for example, you see
22 ketoconazole increasing the exposure of the

1 substrate drug by twofold, then you can go back and
2 see the initial assumption that I made for the
3 fractional metabolism of that pathway is
4 reasonable.

5 I guess, going back to Dr. Vinks' question
6 about reasonable or how do you quantify that,
7 that's a separate issue. But that's what we meant
8 by verification. And I think extending this
9 verification term, you can also apply to a general
10 verification of an intended use.

11 Again, my opinion here is, for example, if
12 you have sufficient data for the ontogeny of a
13 particular enzyme, your goal is try to predict drug
14 PK in pediatrics, and that was the only enzyme that
15 you're interested in. If you have external data
16 that goes through the model, and also you have the
17 observed data, that kind of a comparison will help
18 to verify the further use of this model. So that
19 could be a drug independent aspect.

20 Keep going. Back to the qualification
21 question, I think what Anna specified very clearly
22 in her slide is really just the qualification of

1 the platform. There's a whole package of PBPK
2 being submitted. And that could be maybe
3 10 percent or even less that are related to drug of
4 interest. But the majority remaining are coming
5 from the developer of a software platform.

6 I think that's what they meant for the
7 qualification, but Anna can correct me.

8 DR. WALDMAN: Dr. Nordmark?

9 DR. NORDMARK: Yes. Dr. Nordmark. From the
10 EMA perspective, qualification is to support the
11 intended use for that particular platform. It has
12 nothing to do with the drug model and how well it
13 predicts. So it's intended scientific use of the
14 platform, is supported.

15 DR. WALDMAN: Thank you. Not such a little
16 question.

17 Dr. Sun?

18 DR. SUN: Thank you. A quick clarification.
19 When we talk about the presentation today and the
20 guidance, it seems a lot of examples are focused on
21 oral products, both in new drug and the generic
22 side, all the examples.

1 To follow up on Dr. Au's question also, is
2 it the agency's intention to also include the
3 complex injection, which perhaps the criteria all
4 the morning will be very different? So is this
5 also into this discussion?

6 DR. L. ZHAO: I cannot address that from OGD
7 perspective. I'm pretty sure Dr. Uhl or
8 Dr. Lionberger will have more later on.

9 So complex products is -- or locally-acting
10 products is one of the key area in the Office of
11 Generic Drugs. They bear high stakes for the
12 public health.

13 So while there are many scientific
14 challenges, before we can comfortably approve same
15 safe, effective generic version of product, that
16 engages a very thorough understanding of the
17 critical quality attribute and of the local drug
18 delivery. And all those can be aided by PBPK model
19 for a clearer understanding.

20 We have many ongoing research grants or
21 contracts in this area, almost from every
22 locally-acting or complex product. I showed a

1 graph for ophthalmic, for inhalation, for
2 transdermal, and for a locally-acting product. We
3 have a full array of research in this area.

4 We hope we can generate more meaningful
5 results that can help with decision-making in the
6 near future. We kind of also need help from the
7 all the stakeholders, including industry and
8 academia in this regard.

9 DR. WALDMAN: Cook?

10 DR. UHL: Can I just add? In answer to your
11 question, the bottom line is yes. I think the
12 furthest advanced understanding is obviously with
13 the orally-administered products. Is the science
14 kind of mature enough, ripe enough so to speak, to
15 translate that into other types of products or
16 other delivery forms, et cetera? I think we
17 welcome your input if you see any particular
18 challenges in that or not. Okay. Thank you.

19 DR. WALDMAN: Thank you. Yes, Dr. Awni?

20 DR. AWNI: For Dr. Liang Zhao, you have
21 absorption models for the formulation. You have
22 the drug substance, the drug product, and also

1 there is quite a bit related to the manufacturing
2 parameters, lot size, where you make it.

3 How do you incorporate that knowledge into
4 how you're trying to actually do it?

5 DR. L. ZHAO: That's a very tough question
6 to me. So the model always involves the substance
7 and the product. I think the most important part
8 resides in the product, how the drug is released if
9 we are talking about oral dosage forms.

10 Would the manufacturing process affect the
11 quality of the product, that's a very good
12 question. We need to expand our capability for the
13 PBPK model to address those issues. But currently,
14 from our side, we more focus on regulating the
15 product quality perspective.

16 We have Office of Pharmaceutical Quality,
17 like many initiative in this regard that can
18 integrate with the modeling process, and we need to
19 expand our collaboration.

20 DR. HUANG: So there's some basic
21 understanding of what are the regulatory parameters
22 for a generic drug. Those are well-written in

1 statute and in regulation.

2 Although we're focusing on bioequivalence,
3 bioequivalence is essentially irrelevant if there's
4 not pharmaceutical equivalence, and that includes
5 the same active ingredient, the dose, delivery,
6 et cetera, along those lines.

7 So demonstrating bioequivalence with some
8 fancy modeling and simulation, and PBPK, and other
9 stuff is not going to subsume the lack of
10 pharmaceutical equivalence. That needs to be
11 first -- you know, that's kind of the foundation.
12 If the applicant can't demonstrate that,
13 demonstrating bioequivalence isn't going to get
14 them out of a regulatory hurdle.

15 DR. WALDMAN: Thank you. Dr. Cloyd?

16 DR. CLOYD: Jim Cloyd, University of
17 Minnesota. This question is directed to
18 Dr. Parrott. And as a disclaimer, this is not my
19 area of expertise, so the answer to my question
20 might be evident, self-evident.

21 But it refers to slide 13. I want to make
22 sure I understand the nature of this proposal. In

1 the case of a putative 2C9 inhibitor that's under
2 development, you would look at its predicted
3 inhibition of a well-characterized substrate and
4 compare that to other inhibitors.

5 If the range is acceptable within twofold,
6 you would then propose to carry out a clinical
7 study with the putative inhibitor and the well-
8 characterized substrate. If that, again, falls
9 within what I presume to be the acceptable limit,
10 do I understand then that you could then
11 extrapolate that result to other 2C9 substrates,
12 put that in the label?

13 DR. PARROTT: That is the proposal, yes.

14 DR. CLOYD: And this is going back to
15 Dr. Roden's point. When you have a wide
16 therapeutic range, if you can alter the
17 concentration of the affected drug, the substrate,
18 by twofold, that may be irrelevant. But if the
19 predicted result with the one substrate is not
20 consistent across other substrates, it may be
21 substantially affecting the ultimate exposure of
22 the affected drug.

1 Can you comment on the risk of that?

2 DR. PARROTT: I'm not sure I've really
3 understood the point. I'm sorry.

4 DR. CLOYD: Well let me try again. What I
5 understand you to say is that if your one clinical
6 study with the drug under development in a
7 particular 2C9 substrate falls within acceptable
8 range, you could then extrapolate that finding to
9 other drugs that are substrates that were not
10 tested --

11 DR. PARROTT: Yes.

12 DR. CLOYD: -- and propose some similar
13 dosing adjustment. So I guess the question is how
14 consistent can you be across multiple substrates
15 when you have a similar inhibitor?

16 DR. PARROTT: I think I take your point now.
17 So that relies on the other substrates having
18 well-verified models because the prediction is
19 obviously intrinsically dependent upon those
20 models. I think that comes back to the overall
21 qualification of the platform.

22 So for those predictions to other

1 substrates, those models would have to be part of
2 the qualified platform as has been described by
3 Anna in her presentation.

4 It's not just the inhibitor model but also
5 when using it to predict the impact on other
6 substrates, those substrates models would have to
7 be adequately verified.

8 DR. WALDMAN: Can I give a friendly
9 amendment to your question? A friendly amendment
10 to that question.

11 The issue is can you extrapolate a drug that
12 has a broad therapeutic dose response versus a drug
13 that has a substrate that has a very narrow and
14 tight --

15 DR. PARROTT: Yes. Obviously, that would
16 depend on the individual waiver of that particular
17 study. And if the drug which is being considered
18 has a very narrow therapeutic range, then
19 obviously, the twofold criteria would not be
20 appropriate.

21 DR. WALDMAN: Right.

22 DR. PARROTT: That is yes, accepted, yes.

1 DR. WALDMAN: That makes sense.

2 DR. PARROTT: The twofold here is put in
3 brackets because it has to be considered in the
4 context of the exposure/safety relationship for
5 each drug, yes. Sorry, I didn't understand.

6 DR. WALDMAN: Good. Thank you very much.

7 Other questions from panelists that are in
8 the room?

9 (No response.)

10 DR. WALDMAN: How about panelists that are
11 on the phone? Do we have questions from folks on
12 the phone?

13 UNIDENTIFIED MALE: Yes.

14 DR. WALDMAN: Was that a yes?

15 UNIDENTIFIED MALE: Yes.

16 DR. WALDMAN: Number 1, do you have a
17 question?

18 UNIDENTIFIED MALE: Okay. There we go.

19 DR. WALDMAN: Please say your name, and then
20 ask your question.

21 Did you lose the phone? Can you please
22 unmute your phone?

1 DR. COOK: This is number 2, Jack Cook,
2 Pfizer.

3 DR. WALDMAN: Thank you.

4 DR. COOK: I do have a question. Can you
5 hear me?

6 DR. WALDMAN: Yes.

7 DR. COOK: All right. So I have two
8 questions for Dr. Nordmark. The first will concern
9 the platform validation, and the second would be
10 more along an individual drug.

11 I was impressed with the thinking around the
12 platform, and I wondered if any thought has been
13 given around the type of data used for input for
14 individual drugs and whether there should be
15 criteria or requirements for them.

16 Specifically, one could envision that
17 permeability needs to be an input parameter, and
18 there are lots of different ways to obtain
19 permeability. How do we ensure that the acceptable
20 methods have been used?

21 Second question surrounds an individual drug
22 approach. Currently, the EMA thinking seems to be

1 around the platform qualification. Another
2 approach might be if I predict an individual drug
3 well enough across the variety of, let's say,
4 subpopulations and drug interactions, could I then
5 be allowed to extrapolate to another population?

6 So for instance, if I predict drug
7 interactions, well, hepatic and renal impairment,
8 could one then use the model to extrapolate into a
9 pediatric population, given the others as the
10 validation for an individual drug? Thank you.

11 DR. WALDMAN: Anna?

12 DR. NORDMARK: Yes. This is Dr. Nordmark.
13 When it comes to qualification and the platform, we
14 have not discussed all details, so we are not ready
15 to answer all detailed questions. But of course,
16 permeability, as one expects it, could be of
17 importance. It depends on the intended purpose or
18 use of your platform and what data that are needed
19 to show that the intended purpose is working. So
20 yes, permeability could, of course, be of
21 importance.

22 Then to question number 2, when it comes to

1 individual drug models, of course, it's difficult
2 if you have a drug model that is validated or
3 predict well in a healthy adult population to go
4 into children and predict that as well. And from
5 our point of view, it depends on if the platform
6 has been qualified in the pediatric population; for
7 instance, if that is what you want to go for your
8 drug.

9 So it's not enough to just rely on one
10 single drug application for a qualification in our
11 view.

12 DR. WALDMAN: Very good. Thank you.

13 Okay. Are there any other phone callers who
14 have questions?

15 DR. WALDO: Yes. This is Al Waldo. Can you
16 hear me?

17 DR. WALDMAN: Can you identify yourself?

18 DR. WALDO: Yes. I'm Dr. Albert Waldo,
19 W-A-L-D-O. I have a question that I'm not sure is
20 the right time to ask, but I'm not sure when there
21 will be the right time to ask.

22 One of the things with all this modeling

1 that I haven't seen to take any effect is the
2 effect of heart rate on all these parameters. In
3 terms of we were all worried about Torsade de
4 pointes with the low QT here.

5 It's really striking as a clinician -- and
6 in fact, we actually described this some time
7 ago -- that Torsade de pointes almost always starts
8 at what we call a long-short. In other words, what
9 happens is a premature beat occurs, and then
10 because of that, there's a compensatory pause.
11 That compensatory pause before the next beat comes
12 can be quite remarkably long.

13 But what happens is that the refractory
14 period of the heart tissue is regulated, and it
15 changes on a beat-by-beat basis. So when you have
16 a very long -- then what happens is next
17 beat -- it's so long that the next beat has the
18 initiation of a Torsade. We see the EAD occurring
19 early, after the polarization, occurring in the
20 middle of the T-wave, and that's the first beat of
21 the Torsade.

22 So in thinking about it clinically, one of

1 the ways we meet [indiscernible] cases that come in
2 with Torsade doing that is to pace the heart fast
3 at a regular rate so these premature beats don't
4 recur until we can change the other circumstances
5 related.

6 I don't know when to ask the question and
7 how. But in modeling, I never saw any
8 consideration of heart rate in this -- and
9 particularly in this so-called long-short -- or
10 actually, it's short-long-short beat because what
11 happens is there's the normal beat, then there's a
12 premature beat. That's short.

13 That premature beat makes a compensatory
14 pause. That's long. And then the next beat, that
15 long beat has a very long duration for which we get
16 early after the polarization, and then you get the
17 first beat of the tachycardia. So it'll be a
18 short-long-short sequence.

19 I never see this taken into account in any
20 of models. I'm not sure if that's even critical
21 for the models. The models don't talk about that
22 at all, and I didn't know when to bring it up, and

1 I think this is a good time as any probably.

2 DR. WALDMAN: Dr. Waldo, it was really hard
3 to hear you through the telephone line, and I
4 apologize for that because your question is an
5 important question.

6 What we're going to do is we're going to ask
7 you to write the question out in email and send it
8 to us, and we'll consider it after the break.

9 Is that okay?

10 DR. WALDO: Yes. Well, can you hear me now?

11 DR. WALDMAN: No.

12 DR. WALDO: Can you hear me now?

13 (Laughter.)

14 DR. WALDMAN: Well, the reason I'm saying
15 that is I was on speakerphone.

16 DR. WALDMAN: It got worse.

17 DR. WALDO: It's just not any better.

18 DR. WALDMAN: Sorry about that. So email
19 the question, and we'll get it out to the panel
20 after the break.

21 Are there any other questions from the folks
22 in the room?

1 (No response.)

2 DR. WALDMAN: Okay. If there are no other
3 questions, we're going to take a ten-minute break.
4 We'd like to be back in the room at 10:10.

5 Please let me remind you, to the panelists
6 specifically, not to discuss the issues at hand
7 amongst yourselves during the break, And I'll see
8 you all back here at 10:10. Thank you very much.

9 (Whereupon, at 9:59 a.m., a recess was
10 taken.)

11 DR. WALDMAN: Ladies and gentlemen, please
12 take your seats. We're going to resume. Thank you
13 very much.

14 I'd like to start by giving Dr. Zineh an
15 opportunity to just introduce himself very briefly.

16 DR. ZINEH: Sure. Thank you. Issam Zineh.
17 I'm the director of the Office of Clinical
18 Pharmacology. And I just wanted to echo
19 Dr. Huang's earlier comments welcoming everybody
20 and thanking the AC staff, the committee members,
21 and the speakers for making it here despite the
22 logistical challenges.

Open Public Hearing

1
2 DR. WALDMAN: Okay. We've reached the part
3 of the meeting that concerns the open public
4 hearing. We have two registrants for the open
5 session. Let me read a preamble.

6 Both the Food and Drug Administration and
7 the public believe in a transparent process for
8 information-gathering and decision-making. To
9 ensure such transparency at the open public hearing
10 session of the advisory committee meeting, FDA
11 believes that it's important to understand the
12 context of an individual's presentation.

13 For this reason, FDA encourages you, the
14 open public hearing speaker, at the beginning of
15 your written or oral statement to advise the
16 committee of any financial relationship that you
17 may have with the sponsor, its product and, if
18 known, its direct competitors.

19 For example, this financial information may
20 include the sponsor's payment of your travel,
21 lodging, or other expenses in connection with your
22 attendance at the meeting.

1 Likewise, FDA encourages you, at the
2 beginning of your statement, to advise the
3 Committee if you do not have any such financial
4 relationships. If you choose not to address this
5 issue of financial relationships at the beginning
6 of your statement, it will not preclude you from
7 speaking.

8 The FDA and this committee place great
9 importance in the open public hearing process. The
10 insights and comments provided can help the agency
11 and this committee in their consideration of the
12 issues before them. That said, in many instances
13 and for many topics, there will be a variety of
14 opinions.

15 One of our goals today is for this open
16 public hearing to be conducted in a fair and open
17 way where every participant is listened to
18 carefully and treated with dignity, courtesy, and
19 respect. Therefore, please speak only when
20 recognized by the chairperson. Thank you for your
21 cooperation.

22 Will speaker number 1 step up to the podium

1 and introduce yourself? Please state your name and
2 any organization you are representing for the
3 record.

4 DR. ROMERO: Klaus Romero, with Critical
5 Path Institute. Thanks again for the opportunity.
6 This is great.

7 Now that Issam is in the room, I'd like to
8 reiterate the importance of something that was
9 brought up in the morning session about the notion
10 of clearly defining the intended use or context of
11 use for the PBPK model platforms because that leads
12 to then, an informed discussion about what are the
13 requirements in terms of data, external validation
14 exercises, sensitivity analyses, depending on the
15 application.

16 It's not the same to say that you're going
17 to use a PBPK platform to inform the design of
18 first in-human studies, then to say that you're
19 going to use or propose a use of PBPK platform to
20 replace actual DDI studies, and then to say that
21 you're going to also include pharmacodynamics
22 components into those platforms.

1 So I wonder if OCP folks could comment on
2 that notion and how that links to the opportunity
3 of potentially submitting PBPK platforms for review
4 and potential endorsement through the
5 fit-for-purpose initiative similar to what EMA has
6 put forth in their guidance or the opportunity to
7 submit those models through the novel methodology
8 and drug development pathway. Thank you.

9 DR. WALDMAN: So I'm going to remind the
10 folks for the open public hearing session that the
11 FDA and the panelists are not required to respond
12 to queries provided by the speakers at the open
13 public hearing. Sorry about that.

14 Can speaker number 2 approach the microphone
15 and identify themselves?

16 DR. ROSTAMI: Hello. My name is Amin
17 Rostami. I'm professor of systems pharmacology at
18 the University of Manchester, but at the same time,
19 I am chief scientific officer for Certara, who is
20 the provider of one of the platforms used for the
21 PBPK. And by virtue of the consortium that they
22 arranged, I have got connections with the majority

1 of the pharmaceutical companies.

2 I have got actually one comment and one
3 question. The question that I was going to ask is
4 just to get clarification or declaration from both
5 the OCP, as well as OGD, that all the requirements
6 that they are having under PBPK is platform-
7 agnostic, because in the set of slides that
8 Dr. Liang Zhao showed today, in slide number 9,
9 there's a referral to a particular, let's say,
10 model, which I am guessing by mistake it has been
11 put there. Otherwise, the general, I will say,
12 intent was all the compartmental models for the
13 absorption.

14 So that is one comment. Whether they want
15 to respond or not, I can move on.

16 DR. WALDMAN: There's not going to be a
17 response. I'm sorry about that.

18 DR. ROSTAMI: Okay. All right. So that's
19 one. The second part was related actually to the
20 issue of the validation; qualification,
21 verification, and validation.

22 This is just a comment to, I think, bring

1 up. If for every single case of the modeling and
2 simulation we would like to do validation, then
3 what is the purpose of actually modeling and
4 simulation?

5 We would like to predict all cases,
6 scenarios that we are not going to do in many of
7 these cases. If for every single situation we need
8 also to provide the validation, that actually
9 defeats the purpose of the prediction. Thank you.

10 **Questions to the Committee and Discussion**

11 DR. WALDMAN: Thank you very much. I want
12 to thank both speakers in the open session for
13 their comments.

14 Let me read a statement.

15 The open public hearing portion of this
16 meeting has now concluded, and we will no longer
17 take comments from the audience. The committee
18 will now turn its attention to address the task at
19 hand, the careful consideration of the data before
20 the committee, as well as the public comments. We
21 will now proceed with panel discussion and
22 questions to the committee.

1 This is the part of the meeting where the
2 committee deliberates and discusses the questions.
3 Is it fair to read the questions that are going to
4 be -- okay. And can we maybe put them up on the
5 slide?

6 So I'm going to read, and they're going to
7 up on the slide. The first question for discussion
8 this morning, what information should be included
9 in the physiologically-based pharmacokinetic, PBPK,
10 submission to the FDA to ensure adequacy of an
11 analysis for its intended purpose?

12 Should these recommendations be universal or
13 should there be different recommendations depending
14 on the purpose for which the PBPK submission will
15 be used; for example, to inform clinical study
16 planning versus labeling or regulatory
17 decision-making. What are the principles or
18 criteria that should be considered?

19 This question is now open for discussion by
20 panelists, and I would invite panelists to have a
21 vigorous and robust discussion for the benefit of
22 the agency.

1 So who wants to be first? Dr. Awni?

2 DR. AWNI: I was going to just make a
3 comment at the beginning, which is basically, what
4 we have is like four components for really building
5 this model as they progress. There is the platform
6 or the software itself. And the companies that
7 have done this commercially have done a great job
8 actually in improving, and continue to improve.

9 Then there is the in vitro data and in vivo
10 data, which is the clinical data. And as the drug
11 move through the cycle of drug product development,
12 that data increases with the quantity but also with
13 the quality because some of the experiments you do
14 to estimate your parameter early on are maybe just
15 quick and easy things to get you to the next stage.

16 Finally, the last one is really the people
17 who actually do the modeling, the training of the
18 individual.

19 So when one looks at it as the product moves
20 through development, those elements increase as you
21 progress. You also need increases, and therefore
22 your requirement must increase. At the beginning,

1 you're trying to design phase 2. We don't want to
2 hurt anybody in any clinical trial, so we tend to
3 be much more diligent in saying, okay, let's avoid
4 using this based on the model. As the thing
5 progress at the end, the criteria becomes much more
6 rigorous and must be rigorous.

7 So should it be by the intended use?
8 Absolutely, because the intended use is very broad
9 when you list these things. And as you progress,
10 what is the question, the most important thing?
11 What is the price? What is the knowledge? How do
12 I optimize it?

13 I also think there this modification of the
14 model, I don't believe the clinical data is there
15 just to verify the nonclinical data. The clinical
16 data is there because it's essential to our
17 confidence in what we are developing. And if you
18 come to it from the bottom-up or top-down,
19 ultimately, you meet somewhere in the middle and
20 trying to actually declare your assumption.

21 So the criteria must be much more rigorous,
22 for example, for labeling recommendation or

1 compared to early on with very little knowledge,
2 but it is also very rigorous.

3 So I think the question, as stated, it
4 clearly will have to be dependent on the
5 application, but it's also at what stages and what
6 information. And even the verification, the
7 qualification, you sometimes don't have enough
8 information, again, early on, but you say, what do
9 I want to use the model for?

10 So as you progress, that information must be
11 actually documented, both in vitro and in vivo
12 data, and how you did the model, and what you want
13 to come out of that model.

14 DR. WALDMAN: So to echo back to you what
15 I'm hearing, in part, I'm hearing that what's
16 important is not only the -- so intended use is
17 very important. But the other piece of that, the
18 other piece of equation is the impactfulness of
19 whatever the decision-making process is going to
20 be. If it's going to be, for example, to define
21 the next clinical trial, that may be different
22 than, for example, the impactfulness of a labeling

1 indication, for example. So impactfulness is
2 important.

3 DR. AWNI: Its impactfulness, absolutely
4 critical and what the -- in addition, I really want
5 to focus on also the quality and the quantity
6 because sometimes the quality is actually
7 increasing as you increase through the drug
8 development process.

9 The only time you don't have any clinical
10 data is before you give it to the first few humans.
11 After that, you have a clinical data on the PK of
12 the drug, and you must use it because that's what
13 you're trying to do.

14 It's very difficult to actually
15 think -- none of us, for example, will say, we do
16 phase 2 trial to confirm our EC50 in vitro on
17 animal. We don't. Actually, both are very
18 important. It's for translation, backward
19 translation. It's very important.

20 DR. UHL: Can I just ask a clarifying
21 question?

22 DR. WALDMAN: Yes.

1 DR. UHL: To your aspect of
2 impactfulness -- I just want some clarity -- are
3 you saying that any type of PBPK submission to the
4 agency should also include in it the impact or the
5 impactfulness of such data modeling, et cetera?

6 DR. AWNI: I'm going to use the submission.
7 The submission clearly by the end. But if we are
8 submitting a phase 2 protocol and saying we are
9 giving this recommendation not to co-administer for
10 this drug, you should actually justify it. If it
11 really has -- to the earlier discussion about the
12 safety impact, because you should not harm anybody
13 who participates in our trial. That's the most
14 important thing.

15 But, ultimately, if I am asking you to
16 approve a drug, a label indication, I must also
17 give you all the information to allow you to say,
18 yes, I feel comfortable to do that, which is all
19 the pieces: the in vitro, the in vivo, how we did
20 it, the confidence around what we did.

21 Because we also don't -- since I represent
22 the industry, we don't want to mess up

1 [indiscernible] at that stage either because that
2 goes to a broader use of the drug in society.

3 DR. WALDMAN: Other comments? Please.

4 DR. CARRICO: I'd like to add to what I
5 believe you were both saying. I had made some
6 notes to talk a little bit about how I feel, that
7 the submission should include almost a timeline of
8 the model so that -- as you were saying in the
9 beginning, when, really, there's a model that's
10 being used that does not have any clinical data
11 backing it up -- so that when you receive this
12 model, you can see, well, it used to be this, and
13 then it became this when we got this data.

14 So I think that also plays into the idea
15 that there should be different requirements based
16 on what the model is being purported to be used
17 for. So with that timeline, I think you can
18 determine somewhat what the strength of the model
19 is and therefore what can be taken from the data
20 from the model.

21 DR. WALDMAN: Yes, Dr. Au? Then Dr. Polli.

22 DR. AU: Jessie Au. I think it has to

1 depend on the intended use. They're very
2 different. So that part, I think, is
3 quite [inaudible - mic fade].

4 Now, thinking about how to you use it, for
5 example, how to design a clinical study which is a
6 first in-human study, for example. Just like any
7 publication that we write in modeling paper, there
8 has to be some way to extrapolate because the whole
9 purpose is for facilitating translation of
10 laboratory findings to humans. So there has to be
11 components that tell you how you take in vitro to
12 in vivo translation, how do you scale up, and then
13 from animals to humans.

14 I mean, it's well-known, right? Cancer drug
15 is really great in animals. It doesn't kill tumors
16 in humans. So what are the assumptions that you're
17 using to extrapolate to humans? Nanotechnology,
18 beautiful in the lab; fail miserably in humans. So
19 why?

20 Those are the issues. I think it will be
21 helpful if the document provides some assumptions,
22 so when a reviewer looks at it, at least you can

1 follow the logic. It's reasonable.

2 So I think those two are useful for the
3 translation side and also for the model assumption
4 side.

5 Also, it helps when you're dealing with
6 science that's not mature enough. We talk about
7 spatial problems. If the science is not there,
8 then what assumption are you making? And what
9 variations would you accept? Because it all
10 depends on the assumption you use when you go into
11 the model.

12 So those are the aspects. I think it's
13 reasonable details to ask for. It's not rigid.
14 It's really a way for a reviewer to follow the
15 logic of how the model is built. So if you can
16 check whether the model is reasonable or not when
17 the first in-human data comes in, you can go back
18 and say, this assumption is entirely wrong, so now
19 we can modify it.

20 DR. WALDMAN: Thank you. Dr. Polli?

21 DR. POLLI: James Polli. I mean I think
22 modelers can be tough on other modelers.

1 (Laughter.)

2 DR. POLLI: But I think giving context about
3 impact -- and I like the idea of timing, very
4 helpful. Dr. Nordmark, she made something that
5 really resonated with me. I kind of interpreted it
6 as she didn't want to scare people away.

7 What I'm reminded of is quite some time ago,
8 I think there was an example about IVIVC modeling,
9 and there's a guidance on IVIVC modeling which is
10 10 [indiscernible] years old now. And many times,
11 I've heard people say, oh, we're not going to do
12 that because we don't want to fail.

13 Admittedly, I think that guidance is a
14 pretty tough to thread. So I think quite often,
15 there's a psychology where, well, we just don't
16 want to do that science; we don't want to
17 contribute to it because we actually may fail. And
18 I think that would be good to avoid.

19 DR. WALDMAN: Yes, Dr. Cloyd.

20 DR. CLOYD: Our center focuses on
21 translational and clinical research on drugs used
22 for rare medical disorders and particularly rare

1 pediatric neurological disorders. So the
2 development of these model-based schemes to
3 accelerate the introduction of drugs is vitality
4 important to people, and particularly so for
5 children.

6 I would just make a comment here that where
7 extrapolation from adult PK down to children
8 becomes problematic, not only is under the age of
9 2, but we have found that children with chronic CNS
10 disorders, usually comorbid disorders such as
11 epilepsy and cerebral palsy, have a very different
12 pharmacokinetic profile, and it's not easily
13 extrapolatable.

14 So the challenge to everyone is finding a
15 way to obtain efficiently and safely minimum PK
16 data in children and marry that to a model so that
17 we can then go on to further develop these drugs.

18 I would also further say that this is vital
19 for the industry. But having good guidances could
20 also be useful for others, such as those in academe
21 who are interested in drug development, which may
22 not necessarily end up with a change in label.

1 DR. WALDMAN: So let me throw a question
2 out -- I'm sorry -- the chair's prerogative. Let
3 me throw a question out.

4 So Dr. Nordmark got up and presented
5 extremely concrete criteria, data, that they like
6 to see at the EMA to qualify -- I hope I'm using
7 that terminology correctly -- to qualify and/or
8 validate the PBPK models that they're using. And
9 she went through with the qualification, the
10 confidence in the model, very concrete stuff.

11 I guess a question that I would ask the
12 panel is, should the FDA be requiring those kinds
13 of data in that level of information to support
14 submissions that are dependent on PBPK modeling?
15 Very concrete questions.

16 Because I think one of the things that the
17 agency is looking for is our guidance in what
18 should a sponsor include in their submission to
19 support the submission and the PBPK modeling that's
20 being submitted and the goodness of that modeling?
21 Very concrete questions.

22 So what does the panel think about? Xander,

1 identify yourself.

2 DR. VINKS: Xander Vinks, Cincinnati. So
3 when I was looking at the question, it's a very
4 broad question that we're trying to answer here,
5 and so your point is well-taken. I mean, it would
6 be great if we could be very concrete.

7 Now, having said that -- and I think some of
8 the members have addressed this too -- you don't
9 want to overly be concrete because that would
10 basically have people not be creative. And I think
11 transparency, and providing data, and having the
12 model timeline tell a story that really that can be
13 followed by the reviewers but also has data that
14 when it comes in, during this process, be available
15 for potential updating of those models, I think
16 that type of flexibility is very important.

17 The other thing is that if you are too
18 concrete, you may defeat the purpose, as
19 Dr. Rostami brought up. I think modeling and
20 simulation is to predict and to verify, to learn,
21 confirm, and apply as we now say. But those things
22 are very important. So it has to be placed in

1 context. It's very important, I think, that it's
2 fit for purpose, so that it needs to be very clear
3 as part of the submission.

4 It needs to be, again, part of the all the
5 other data and information that is being submitted,
6 and again, needs to tell its own story. And as you
7 say, models are very critical, but models are also
8 talking different language.

9 So it's very important that this type of
10 information is clearly conveyed and has that, that
11 it doesn't become rigid. Concrete, yes. And it
12 would be great if we get some alignment between
13 what our colleagues do in Europe, as opposed to
14 what we do here in the U.S. because that would
15 only, let's say, facilitate our drug development
16 processes on both sides of the ocean.

17 DR. WALDMAN: Because I cut Jerry off a
18 minute ago, let me ask him to speak, and then Patty
19 after Jerry.

20 DR. UHL: Can I just ask a clarifying
21 question?

22 DR. WALDMAN: Yes.

1 DR. UHL: To your point then, the current
2 guidance that exists about PBPK modeling and such,
3 is it flexible enough? Or is it as you -- is it
4 too concrete or too rigid? Or you have no opinion?

5 DR. VINKS: I think it's flexible enough
6 because it doesn't have those concrete elements
7 that Dr. Nordmark mentioned. But it might be
8 something to consider to talk about. That's one of
9 the questions that we were asked. That might be
10 for the agency to consider.

11 DR. WALDMAN: So I have Jerry, Patty, Dan,
12 Duxin, in that order. Jerry?

13 DR. COLLINS: Jerry Collins. Modeling can
14 be done for many reasons as we've already
15 discussed. The best reason is when you can't do
16 the experiment for some reason, usually ethically,
17 so rely on simulation. Other times, it's just
18 nobody wants to do a lot of studies on kids, so you
19 try to extrapolate as much as possible.

20 I just wanted to put in a plug for special
21 populations because I think for both the very young
22 and those of us who are getting toward the very

1 old, simply simulating plasma concentrations is not
2 going to be enough.

3 I understand that the topic of PD that Dan
4 Roden tried to bring up is too big to fit into this
5 tent that we have this morning, but we do need to
6 think that the reason we call them special
7 populations, they have some fraction that's related
8 to drug disposition and can be simulated by PBPK.
9 But more likely, there are data that say that at
10 equal concentrations, kids and/or the elderly are
11 more fragile, more sensitive, and have different
12 PD.

13 So just a pitch for understanding the limits
14 and the very populations you want to get at, but
15 they're also the toughest to interpret.

16 DR. WALDMAN: Thanks, Jerry. Patty?

17 DR. SLATTUM: So I actually want to echo
18 what you just said -- interesting -- is that when
19 we think about these PBPK modeling approaches and
20 how, I guess, specified we should be about what
21 would need to be produced to say that they're
22 adequate or fit for their purpose, it has the

1 potential, if it's too rigid, to impair the very
2 things you're talking about in development for the
3 populations where we really need to be able to
4 predict when we can't study.

5 Here, we've talked about pediatrics, but I
6 also think about the frail elderly, which we're not
7 likely to do in large clinical trials. So I think
8 rigidity could get in the way of making progress in
9 those areas.

10 I think if we clearly articulate the
11 purpose, we follow it over time, we are clear about
12 what the stakes are for patients and their
13 outcomes, which -- and that has to do with how
14 narrow the therapeutic range is and how much we
15 think the risks are, actually, of what we're
16 attempting to do.

17 Can we communicate clearly in labeling if
18 we're predicting something in lieu of a study that
19 practitioners are actually going to be able to
20 understand, and buy, and use? Those are the kinds
21 of questions that would need to be addressed. And
22 because it's so specific to the purpose, I don't

1 think you can leave it so rigid in the
2 specifications.

3 DR. WALDMAN: Very good. Thank you. Dan?

4 DR. RODEN: Let me just preface my question
5 or comment by saying I'm a big fan - I'm an
6 defrock [ph - ??] math geek, and I'm a big, big fan
7 of modeling. I want to echo what Jerry said, that
8 you have to ask yourself why you're modeling. And
9 I think the compelling reason is to do an
10 experiment, a thought experiment that is otherwise
11 difficult or impossible to do in the very frail
12 elderly or very, very young.

13 Dr. Cloyd alluded to the idea that disease
14 itself can modify either PK or PD. As well, there
15 are examples. The psychotic drugs and the
16 difficulties in evaluating their effect on QT,
17 we'll talk about that.

18 So one of the things that I want to echo is
19 the idea that modeling will not become fossilizing.
20 It has to be viewed as sort of a dynamic tool.

21 I think to myself what a model would've
22 looked like ten years ago to try to construct it to

1 actually [inaudible - mic fade] profile. And there
2 are many elements that you might not have included,
3 some of the details of transporters.

4 I wonder, for example, what models will look
5 like in ten years when somebody understands the
6 microbiome and where does that fit in to all this.
7 So you really have to sort of keep an open mind of
8 what the model is doing.

9 One of the outputs is that the model needs
10 to reproduce what is seen in an actual experiment.
11 So here I echo the comment from Dr. Nordstrom, and
12 that is this sensitivity analysis.

13 So there's a lot of components that go into
14 what the model produces, which is, one, PK and with
15 confidence intervals around it. And there are many
16 ways in which perturbing that system could produce
17 smaller or bigger changes.

18 So I think a really important component to
19 assessing a model is to figure out what components
20 are there in the model that are particularly
21 sensitive to having changes. That's a piece that I
22 would like to sort of see.

1 But it would be a big mistake to sort of not
2 consider modeling somewhere in the drug development
3 process. There are experiments that you just can't
4 do, or if you want to do hundreds of experiments,
5 modeling is probably the only way to do that.

6 DR. WALDMAN: Thank you. Duxin?

7 DR. SUN: Duxin Sun, University of Michigan.
8 I try to answer this three-part question in a
9 reverse order. What are the criteria we should
10 consider?

11 My thought would be for the new drugs side,
12 the generic drug side, and injection complex, the
13 criteria would be surely different. You cannot
14 generalize because -- let's come to the new drug
15 side, and of course, you can generalize the AUC
16 versus clinical outcome, toxicity and efficacy, or
17 Cmax, or plasma concentration with that. That you
18 can generalize.

19 But the proposal by the gentleman, which is
20 the DDI, then they have a different, very concrete
21 criteria, which is very solid already, then that
22 proposal develops very well.

1 For generic side, you want to consider
2 AUC/Cmax off of the reference versus the generic
3 product. Then the 90 percent confidence interval
4 has to be in there within the range by the
5 computation model.

6 So I have two parameters, but the generics,
7 perhaps is even much more complex in the GI tract
8 because you compare two subtle differences of two
9 formulation, that's different from your new drug
10 side, which is really considered one drug, whereas
11 the exposure was clinical outcome.

12 When it comes to generic side, it's pretty
13 subtle. Then can you use model to capture the
14 subtle difference in two different drug products?
15 You have the same clinical outcome. So a lot of
16 parameters in GI tract is unknown. So really, that
17 has to capture it.

18 Then what do we need to require to submit
19 it, I think along the last 10, 20 years, there's
20 some data available which provide the experiment
21 data for the parameter. I think we should require
22 them to submit that. Then some other parameter

1 which is unknown, then they have to use a
2 simulation and computation model to simulate that.
3 They have to divide which is known already, which
4 is verified by experiment. And which is unknown,
5 you have to use a computation to simulate.

6 So then you come to the injection complex,
7 that PBPK part is even more critical because the
8 AUC/Cmax perhaps will not capture the difference in
9 terms of tissue concentration because the
10 distribution, early part, the subtle difference
11 will reflect the tissue distribution dramatically,
12 but you have no way to know.

13 So therefore, only for Cmax and AUC will not
14 be adequate. You have to define other things. So
15 that's the third part of the question.

16 Then go back to the second part of the
17 question, should it be universal or should it be
18 specific? I think the two guidelines, the FDA
19 current guidelines and the EMA current guidelines
20 is universal enough to just lay out a foundation.
21 However, each application is so different. The
22 DDI, pediatric, generic, injection; everything is

1 different. I don't think that you can use
2 universal to capture everything.

3 So the idea would be you have a general
4 outline of the guiding principle. My hope is maybe
5 we can form -- or you guys can form a working group
6 for each application. You have a generalized, not
7 too rigid, because I agree, if it's too rigid, then
8 you limit the scientific development. But each
9 direction, I think the agency already laid out the
10 different aspects of the application. Then we
11 should also use a generalized aspect for each
12 application, have some sort of guideline.
13 Otherwise, people don't know what to do.

14 Then for the reviewer then, the format would
15 be really different. It's very hard for a reviewer
16 to handle. So each application, each area should
17 have some sort of a generalized format as guidance.

18 Then go back to what information should be
19 submitted, I think in terms of model, you can
20 always fit the data if you add one more parameter;
21 you can always do that. The question is, is that
22 valid? Is that verified? Who knows?

1 Then the sensitivity, I think we have to
2 minimize the additional parameter. So you have to
3 critically validate if you add one more
4 part [indiscernible] to fit your model, is this
5 critical parameter important? That's number 1.

6 Number 2, again, as I said earlier, you have
7 to define very clearly what is known, what is
8 simulated, then very clearly ask the submission to
9 very clearly define. That's easier for a reviewer
10 to handle. So that's a very crude thought I had.

11 DR. WALDMAN: Thank you for that. Other
12 comments from the group? Yes, Dr. Awni?

13 DR. AWNI: Very quickly to your question
14 about should the FDA focus on the qualification of
15 the software? I honestly think it will be
16 chasing -- as the software is developed, as you go
17 move forward -- so the focus ought to be on the
18 output, on the outcome, what are we trying to
19 answer and what is this model trying to do, and
20 what we're trying to predict.

21 So from a clinical relevance -- and
22 therefore, what tool do I use? Sometimes you don't

1 need PBPK even to do a model to actually address
2 the question.

3 So chasing what the software should be or
4 not is actually, I think, directing the resources
5 to the side and just focus on what is the output
6 from this model.

7 DR. ZINEH: Can I follow up on this
8 question?

9 DR. WALDMAN: Yes.

10 DR. ZINEH: Thanks for bringing that up. As
11 you know, the end users of these models are not
12 modelers, and the folks who aren't familiar with
13 the science or skeptical of it, it's very easy to
14 point that skepticism to the platform largely
15 because some of these platforms are opaque.

16 So are you saying then that we should accept
17 that the platforms are well-developed and sort of
18 move on to the decision quality now?

19 DR. AWNI: I actually agree with your
20 statement at the beginning because even internally,
21 I could have a junior scientist who uses this or
22 that software, and come up with an answer. And I

1 have a more experienced scientist within the group
2 who will come with completely different answer
3 because the junior scientist had missed all the
4 inherent and sometimes assumption that they need to
5 interrogate.

6 I'm not saying that. I'm actually saying
7 what you need to focus is what you're trying to
8 accomplish, what is the decision you are trying to
9 make, and was the software or the interrogation of
10 the sensitivity analysis, the data was used to
11 generate all that information, did they actually
12 do -- was there some assumption that completely
13 makes no sense? And sometimes it does, the size of
14 the GI or the water level. I mean, there's a fudge
15 factor or scaling factor.

16 So I think the focus ought to be on the
17 outcome and how you do it, not on the software.
18 I'm just saying the software itself is going to
19 continue to develop, and I think they are in decent
20 shape, but they're going to continue to do that.
21 So just focus on the outcome.

22 DR. WALDMAN: So let --

1 DR. ZINEH: Yes. Can I throw a question out
2 there that's a follow-up? And then Dr. Waldman,
3 you can decide if you want to take it.

4 So this is, again, with expectation. So all
5 the speakers were talking about different
6 applications and really talking about whether it's
7 drug interactions or bioequivalence. These are
8 scientific applications, scientific context.

9 But there's another way to think of
10 applications, and that was the one that was raised
11 earlier. And that is review of a new drug
12 application, which is very data-rich, in order to
13 inform labeling and other regulatory decisions.
14 And then the question of drug development,
15 engagement during the drug development process, the
16 IND process, which are very tight in terms of time.
17 There's a lot happening and development programs
18 are moving fast.

19 So can the committee opine on expectations
20 of the regulatory scientists, in terms of level of
21 intensity of our own effort in those two junctures?
22 I just wonder do we need to approach those

1 particular points of interaction differently, the
2 conceptual side versus the data-driven side.

3 DR. WALDMAN: Dr. Au?

4 DR. AU: It would seem -- I mean from your
5 side as a reviewer, because modeling can go so many
6 different ways because it's highly flexible, how
7 many parameters. It seems from a reviewer
8 standpoint, you have to give some objective
9 measurement, like you call workflow chart.

10 When you do accept it? You do sensitivity
11 analysis, so you identify the most critical
12 parameters that will alter your outcome. So what
13 is the range of acceptance? I think it will be
14 helpful for a reviewer if it was defined up front,
15 so that when you exceed that by X percent, then you
16 know you got to go back and redo the model.

17 So as a modeler, that's what you're
18 thinking, when do you accept a model and when do
19 you say this model is so flawed? So you need an
20 objective measure to help you decide that.

21 DR. WALDMAN: For what it's worth, I would
22 echo that. And not only is it going to be helpful

1 for the reviewers, but it's going to be helpful for
2 the sponsors to know what the targets are that
3 they're supposed to be hitting.

4 Xander?

5 DR. VINKS: This is Xander Vinks,
6 Cincinnati. In addition to having these clear
7 criteria, do I understand you correct that you
8 would like to encourage a discussion early on
9 between the developer or the sponsor, and the, say,
10 review group or the pharmacometrician? Because I
11 think that would tremendously help as opposed to
12 having sponsors bring a complete package where then
13 there is this review and there is no discussion.

14 If you were to have an opportunity to get in
15 touch, have the modelers talk to modelers, and
16 clearly have people understand what the different
17 decisions were at the different points, I think
18 that would tremendously help this whole process
19 move forward.

20 DR. ZINEH: Yes. To answer that question,
21 it's a balance. On the one hand, that would be
22 very educational. Now, you're taking the sort of

1 understanding of the model, and it becomes
2 longitudinal so that at the end of the day, when
3 you have your regulatory submission, we don't have
4 to reiterate what's happened with the model and
5 sort of where the holes are.

6 On the other side of that, though, as the
7 point that was made by Dr. Awni, things are moving
8 along so quickly and iterating during development
9 that is that the best time to have that discussion,
10 at the conceptual stage?

11 That's challenging. We have that experience
12 with the end of phase 2A where it just became
13 untenable to sit at the end of phase 2, and model,
14 and discuss the models, and replicate the models.
15 But maybe for a subset of applications, it makes
16 sense. This is sort of what we're trying to figure
17 out in the investigational drug side.

18 DR. WALDMAN: We're going to have two more
19 comments from the live people on the panel, and
20 then we're going to go to the phones.

21 Cook?

22 DR. UHL: I just want to --

1 DR. RODEN: Point of clarification -- I'm
2 sorry.

3 DR. WALDMAN: Dan barged in.

4 DR. UHL: All right.

5 DR. RODEN: Something you just said
6 suggested everybody has to model in an IND process.
7 Is that correct or no?

8 DR. ZINEH: No. What I mean is there are
9 two places to introduce this. One is when you're
10 in the concept stage of protocol development, and
11 the other is when all is said and done, and a rich
12 data set comes in, and now you have to make a
13 decision about approvability, dosing, and labeling.

14 So there are tradeoffs in having the
15 discussions early at the conceptual stage versus
16 later. And I'm trying to get a sense for what the
17 sort of utility would be in those two specific
18 points of interaction.

19 DR. RODEN: But you're not saying they have
20 to --

21 DR. ZINEH: No. No.

22 DR. WALDMAN: Okay. Cook, you're up.

1 DR. UHL: I just want to elaborate on what
2 Issam is saying because what Issam is talking about
3 is pertinent to the new drug space and the IND
4 stage. The conversation has talked a bit about
5 generic drugs here, and thank you for bringing that
6 up, related to complex products -- I guess complex
7 products.

8 Under GDUFA II, which hopefully will start
9 October 1, there will be something called the
10 pre-ANDA. Although there is that space right now,
11 it is not formalized in the generic user fee
12 amendments, but it will be in GDUFA II.

13 It relates specifically to complex products,
14 and there's even a definition of complex products.
15 Also, where generic developer may want to differ in
16 their bioequivalence approach, on that pre-ANDA
17 area would be where they can come in with these
18 types of questions. And we would expect that they
19 have done modeling in order to have that meeting
20 and have an informed conversation and discussion.

21 DR. WALDMAN: Thank you. Jeff?

22 DR. CARRICO: So your comment added one more

1 point to my comment. I'm Jeff Carrico from Florida
2 Hospital.

3 I was just going to say that I am more
4 concerned about modeling when we talk about
5 strictly in the labeling sense, unless modeling was
6 used earlier to determine what was going to happen
7 in the clinical trials, et cetera. And then again,
8 you're using that, but you're not using it solely
9 for labeling.

10 So that's kind of how I feel in the new drug
11 space. As far as the generic drug space, though, I
12 think it is very reasonable for labeling at that
13 point because, again, there's a background, a
14 history if you will, that you can draw from to use
15 for that generic product.

16 But just to sum up, strictly for labeling,
17 that's where I get a little uncomfortable,
18 personally. But to determine what's going to
19 happen in the new drug process and for the clinical
20 trials, then that's where I really see that this
21 could be useful.

22 DR. WALDMAN: Thank you.

1 Are there folks on the phone with comments?

2 DR. COOK: Yes, there is.

3 DR. WALDMAN: Let me do this by the numbers.

4 Dr. Arkus, do you have a comment?

5 MS. ARKUS: I have no comment.

6 DR. WALDMAN: Thank you.

7 Dr. Cook?

8 DR. COOK: Jack Cook, Pfizer. Several
9 comments, first, criteria and information
10 requirements are desirable to states by regulatory
11 agencies. That's lauded, as it ensures that
12 regulators get what they want and will eliminate,
13 what I'll call, regulatory uncertainty for
14 sponsors.

15 I believe criteria should vary with the
16 application, so the incidents that you're applying
17 for, not particularly the drug but the application
18 to something, and the risk should guide the
19 requirements and the criteria.

20 Ideally, the models would not only come with
21 the data and criteria requirements, but they would
22 come with assumptions. And in a particular

1 application, the data for the compound would also
2 support why those assumptions by the model are
3 considered reasonable.

4 The systems approach or platforms approach
5 may need a requirement as to how input data are
6 obtained. And this was my comment earlier that if
7 there's more than one way to obtain an estimate,
8 one might want to make sure that the data used to
9 validate a model use the same methodology as would
10 be used for the particular drug.

11 While the EMA proposal is wonderful and it
12 talks about the type of data, I actually think that
13 it might be good to go down to a more and more
14 explicit criteria but realize that it would be hard
15 to establish and will undergo a lot more debates
16 than we can carry out today.

17 Finally, I'll note that with special
18 populations, while we want to do no harm, there are
19 actually many special populations where dosing
20 recommendations, they are not explicitly stated in
21 the label.

22 There was a recent publication by myself and

1 others that looked at drug approvals from the FDA
2 in 2013 and 2014, and that it is noted that even in
3 well-studied areas such as renal impairment, for
4 severe renal impairment, 40 percent of the labels
5 lack explicit dosing recommendation. Thinking on
6 to an area that's not as well-studied, explicit
7 dosing recommendations were not available for
8 100 percent of the approvals with respect to
9 pregnancy. This is important because when no
10 information is provided, prescribers have to guess.
11 So they assume that because nothing is written in
12 the labels that the same doses are appropriate.

13 So while we want make sure our models are
14 adequate and we don't do harm, by the same token
15 that there are special populations out there that
16 are in the need of such information. And one might
17 want to consider that for those populations in
18 terms of requirements of what might be needed; a
19 best guess may be better than no guess. Thank you.

20 DR. WALDMAN: Thank you, Dr. Cook.

21 Dr. Tenjarla?

22 DR. TENJARLA: Yes. Good morning. I think

1 most of my comments have already been addressed by
2 some of the other members, but I'll just highlight
3 a couple of main ones.

4 I think the clarity on the guidance is very,
5 very important because then we look at it, we need
6 to make a call on what is exactly we will be doing
7 or we have to do in terms of validating the model.

8 So some level of clarity in requirements in
9 the model in the guidance will be very helpful. At
10 the same time, I think it cannot be too rigid
11 because these are evolving things, and then things
12 change as they progress.

13 DR. WALDMAN: Very good. Thank you.

14 Dr. Waldo, any final comments?

15 DR. WALDO: Yes, I don't know -- can you
16 hear me this time?

17 DR. WALDMAN: Yes.

18 DR. WALDO: I think everyone's
19 comments -- the broad feel of this -- I really have
20 nothing to add. I think everything I could've
21 thought of has been brought up very well. Thank
22 you.

1 DR. WALDMAN: Very good. Thank you very
2 much.

3 So just to wrap up this part of the
4 discussion, I think that there was a recognition of
5 the importance of modeling for drug development and
6 regulatory sciences.

7 I think for the first part of this
8 question, I think there was enthusiasm to keep it
9 less proscriptive and more flexible in terms of the
10 data sets that are provided to the agency in terms
11 of support for sponsor applications, particularly
12 with a focus on maybe presenting the data in a
13 timeline as it evolved to tell the story with
14 appropriate annotations, detailed data-driven
15 annotations, to support the modeling and the
16 conclusions from the modeling.

17 In terms of the second and third parts of
18 the question, should the requirements be universal
19 or less one-size-fits-all and more tailored to the
20 applications, and what should the guiding
21 principles be.

22 I think we heard a lot of discussion about

1 the various special cases, the unique cases that
2 will be/could be considered in terms of modeling:
3 special populations, pediatrics, the elderly,
4 people with various organ dysfunction, et cetera,
5 and that the guiding principles should
6 be -- essentially, that the interpretation of the
7 applications and the modeling should be in the
8 context of the populations that are being
9 considered the intended use of the modeling and the
10 impactfulness of decisions based on the modeling,
11 significant health-related impact versus drug
12 development, moving from phase 1 to phase 2, for
13 example.

14 I'm going to stop there for the first
15 question in this discussion, and I'm going to ask
16 us to move to the second piece of the discussion,
17 and I'm going to read that.

18 Based on the proposed workflows as examples,
19 please discuss briefly what criteria should be used
20 to determine that the model is adequately verified
21 for the intended purpose? And when the model needs
22 modification, what consideration should be given

1 related to modifications of model structure and/or
2 parameter estimates?

3 Are there points that the panel want to
4 raise in addition to what we already discussed in
5 terms of these additional questions? Yes, please.

6 DR. SUN: For the second, for the B, I
7 wonder because if you give the question to a
8 different modeler, they can come up with different
9 structures, and they both may have very good
10 result. The question is, which structure is
11 correct?

12 So I wonder is it possible to require the
13 sponsor to say, if you want to submit something,
14 you need to give me a different model to say why
15 you chose this one versus another one. Otherwise,
16 you don't know which is correct. I don't know if
17 it's feasible or not. That's number 1.

18 Number 2 -- I had another question here. It
19 slipped my mind. Also, the equation. So when you
20 give the modeler not only the structure difference,
21 let's say generic, for the dissolution part, they
22 may use totally different equation. The different

1 people are familiar with one side of the equation
2 versus another one. Each equation requires
3 different parameters to define that. So it's very
4 difficult to validate or verify which one is
5 correct. So again, then maybe require maybe a
6 different model to test.

7 When you do a sensitivity analysis, at least
8 on a different model to see why you chose each one,
9 it's better than the other one, and which is the
10 final model you should choose.

11 So that's B. I actually don't know how to
12 answer A.

13 DR. WALDMAN: Yes, please, Dr. Awni.

14 DR. AWNI: I think with regard to 1,
15 clearly, the intended purpose is the driver for
16 your comfort level. And what you come out of it,
17 as you stated, Dr. Waldman, is what the final
18 thing.

19 If there is a safety issue that you're
20 trying to address, then the criteria and what is
21 adequate is going to be dependent on how much you
22 pay for a cost for the model not predicting what

1 happened. So it's all driven by the intended
2 purpose and your comfort level on that.

3 I think what is important in these modeling
4 exercises is the sensitivity around not only,
5 honestly, kind of like pretend sensitivity, but
6 true sensitivity around the parameter, and what
7 information you have, and what you do.

8 Sometimes even internally, we ask two
9 different scientists to actually use the same data
10 to come up with a model, and then have talk to each
11 other to see what assumption they made in that,
12 because declaring the assumption is very important.

13 So to me, that second one would say, how was
14 the sensitivity analysis done, what is the scope,
15 are there any parameters that were assumed that
16 make no physiological sense)?

17 Sometimes you need to actually use some
18 factor that's unknown to actually get the model to
19 work, but you should declare it and see what you
20 should get out of it. So I think the intended
21 purpose is the most important out of all of that.

22 DR. WALDMAN: So the discussion is very much

1 following along the same lines as the first part of
2 the earlier discussion.

3 Dr. Au, did you have something to say?

4 DR. AU: Only in the sense that there has to
5 be some way to know where you are in the loop
6 because the whole thing is model-informed. The
7 model, I look back in my own work, I mean there's
8 never the first model that worked right away.

9 So there has to be a decision point. But
10 then you're asking us the question, can we now tell
11 you ahead of time how to do it? And I don't think
12 that's something one can think of sitting here
13 today.

14 But we cover that with flexibility, and we
15 cover that in saying there are some minimum things
16 that they have to give, what are your specification
17 for the model assumption.

18 Maybe what may be useful is use a
19 sensitivity analysis to find the most sensitive
20 parameter, so that could be a warning sign. If
21 your model is failing, then you see
22 there's -- assembling compartment where you can

1 actually get data. Then you say at what time am I
2 seeing deviation? For example, this morning,
3 someone showed the double peak. If you're missing
4 the double peak, then you know something is wrong.
5 Maybe there has to be some objective way to
6 complete this because it is a loop.

7 DR. WALDMAN: Point well taken.

8 Jim, you had something to say?

9 DR. POLLI: James Polli. I just want to say
10 transparency. Transparency is very important in
11 driving a model, both structure of the model, as
12 well as the parameters, so you can do parameters
13 sensitivity analysis.

14 What's the origin of the model? Maybe
15 you're only considering one model because you've
16 been working on this for 20 years and have
17 thousands of man-hours, years built into the model.
18 Or is it a scenario where you've considered more
19 than one model?

20 How did you go about choosing one model over
21 the other? I think there's a lot of developed
22 methodologies to choose one model over the other.

1 And as far as model selection, arguably, you've
2 identified your criteria for model selection a
3 priori.

4 DR. WALDMAN: Other points? Xander, you're
5 looking at me? Because I'm good-looking?

6 (Laughter.)

7 DR. VINKS: Xander Vinks, Cincinnati.

8 Actually, I was just thinking things like
9 flexibility and putting some parameters around this
10 maybe and aligning this with what's already out
11 there as guidelines across the ocean probably is a
12 good thing.

13 Again, as the panel and other people have
14 said, there are so many scenarios, so many models,
15 so many parameters and variables that cannot be
16 defined all in one guidance. So I think that, for
17 me, would be a very important, and the
18 transparency.

19 DR. WALDMAN: Other comments?

20 (No response.)

21 Anybody on the phone have further comments?

22 Let me ask Dr. Arkus, more comments?

1 MS. ARKUS: No comment at this time. Thank
2 you.

3 DR. WALDMAN: Thank you. Dr. Cook?

4 DR. COOK: Jack Cook, Pfizer. No comments.

5 DR. WALDMAN: Thank you. Dr. Tenjarla?

6 DR. TENJARLA: Srini Tenjarla. No comments.
7 Thank you.

8 DR. WALDMAN: Thank you. Dr. Waldo?

9 DR. WALDO: Not at this time. Thank you.

10 DR. WALDMAN: Okay. Let me summarize the
11 second part of the discussion very quickly because
12 it followed close on to the first part of the
13 discussion.

14 The theme here is that since the science is
15 so new and we are evolving, it's hard to anticipate
16 what we're going to need to provide in the future
17 as these models and the technology evolve. So it's
18 very hard to specify today what we're going to need
19 tomorrow since we don't have all the information at
20 this point.

21 The other theme that arose was in the
22 absence of understanding exactly what we're going

1 to need to do, that presenting the thought process
2 logic and the rationale for the information that we
3 provide, that sponsors provide in their submissions
4 and in the development of their agents in
5 collaboration with the agency, is actually the
6 watchword of the day, keeping it more flexible
7 instead of more proscriptive, with the caveat that
8 it will be useful as we move forward to harmonize
9 with other places in the world, with other
10 regulatory bodies in the world, in terms of what
11 our expectations are, so that it can be a uniform
12 playing field as close to worldwide as possible.

13 Close enough summary? Okay.

14 **Adjournment**

15 DR. WALDMAN: All right. So that has
16 concluded this part of the meeting, the morning
17 session of the meeting. We'll now adjourn and
18 break for lunch. We will reconvene in this room in
19 50 minutes, at 12:15 p.m., at which time we will
20 begin the afternoon session.

21 I want to thank the panelists for their time
22 and the audience's attention. Thank you very much.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

(Whereupon, at 11:24 a.m., the morning
session was adjourned.)