

UNITED STATES OF AMERICA
 DEPARTMENT OF HEALTH AND HUMAN SERVICES
 FOOD AND DRUG ADMINISTRATION

+ + +

CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

+ + +

115TH MEETING OF THE BLOOD PRODUCTS ADVISORY COMMITTEE

+ + +

April 4, 2017
 8:30 a.m.

Tommy Douglas Conference Center
 10000 New Hampshire Avenue
 Silver Spring, MD 20993

COMMITTEE MEMBERS:

CHRISTOPHER P. STOWELL, M.D., Ph.D.	Chair
MEERA B. CHITLUR, M.D.	Voting Member
SUSAN F. LEITMAN, M.D.	Voting Member
THOMAS ORTEL, M.D., Ph.D.	Voting Member
SRIDHAR V. BASAVARAJU, M.D., FACEP	Voting Member
MICHAEL DeVAN, M.D., FCAP, CDR MC USN	Voting Member
NORMA B. LERNER, M.D., M.P.H.	Voting Member
ROBERT J. REES, JR., M.H.A., MT(ASCP)	Voting Member
MICHAEL DOBBS, M.D., MHCM	Temporary Voting Member
SUSAN ELMORE, M.S., D.V.M., DACVP, DABT, FIATP	Temporary Voting Member
LAURA MANUELIDIS, M.D.	Temporary Voting Member
ROGER J. PACKER, M.D.	Temporary Voting Member
STEVEN T. DeKOSKY, M.D., FACP, FANA, FAAN	Temporary Voting Member
ROBERT H. GARMAN, D.V.M.	Temporary Voting Member
CHIADIKA OBI U. ONYIKE, M.D., M.H.S.	Temporary Voting Member
KEVIN SHAPIRO, M.D., Ph.D.	Temporary Voting Member
CHRISTOPHER TEMPLIN	Patient Representative
JUDITH BAKER, D.P.H., M.H.S.A.	Consumer Representative
ROGER DODD, M.D.	Industry Representative

Free State Reporting, Inc.
 1378 Cape St. Claire Road
 Annapolis, MD 21409
 (410) 974-0947

LCDR BRYAN EMERY, M.A., RN, USPHS Designated Federal Officer
JOANNE LIPKIND, M.S. Committee Management
Specialist
DENISE ROYSTER Committee Management
Specialist
ROSANNA HARVEY Committee Management
Specialist

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly, the Food and Drug Administration makes no representation as to its accuracy.

FDA PARTICIPANTS:

WILSON BRYAN, M.D.
Director, Office of Tissues and Advanced Therapies
Center for Biologics Evaluation and Research

CHAVA KIMCHI-SARFATY, Ph.D.
Division of Plasma Protein Therapeutics
Office of Tissues and Advanced Therapies
Center for Biologics Evaluation and Research

BECKY ROBINSON-ZEIGLER, Ph.D.
Chief, Pharmacology/Toxicology Branch II
Office of Tissues and Advanced Therapies
Center for Biologics Evaluation and Research

MEGHA KAUSHAL, M.D.
Division of Hematology Clinical Review
Office of Blood Research and Review
Center for Biologics Evaluation and Research

DEEPA RAO, BV.Sc., M.S., Ph.D.
Division of Bone, Reproductive, and Urologic Products
Office of Drug Evaluation III
Office of New Drugs
Center for Drug Evaluation and Research

JENNIFER SCHARPF, M.P.H.
Associate Director, Policy and Communications
Office of Blood Research and Review
Center for Biologics Evaluation and Research

LYNDSAY MEYER
Press Contact

INVITED SPEAKER:

MARIA LEHTINEN, Ph.D.
Robertson Investigator
New York Stem Cell Foundation
Associate Professor
Boston Children's Hospital
Harvard Medical School

NOVO NORDISK SPEAKERS:

SHAWN HOSKIN
Senior Director, Regulatory Affairs
Novo Nordisk

GUY YOUNG, M.D.
Director, Hemostasis and Thrombosis Center
Children's Hospital Los Angeles
Professor of Pediatrics
Keck School of Medicine
University of Southern California

STEPHANIE SEREMETIS, M.D.
Corporate Vice President
Chief Medical Officer, Biopharmaceuticals
Novo Nordisk

LARS WICHMANN MADSEN, D.V.M., Ph.D.
Corporate Vice President, Nonclinical Development
Novo Nordisk

NOVO NORDISK ADVISORS:

MANUEL CARCAO, M.D.
The Hospital for Sick Children
Toronto, Canada

METTE DUELUND SIMONSEN, M.Sc. Pharm.
Clinical Safety Specialist
Novo Nordisk

JENNIFER SIMS, B.Sc., Ph.D.
Director, Integrated Biologix GmbH

HENRY G. WALL, D.V.M., Ph.D.
Wall Pathology, LLC

OPEN PUBLIC HEARING SPEAKERS:

MARK SKINNER, J.D.
Private Citizen

WAYNE COOK
President, The Coalition for Hemophilia B

BEN SHULDINER
Private Citizen

SHARON CARAYIANNIS
Director, Regulatory Affairs
American Association of Blood Banks

DAVID HARDY, M.D.
Senior Director, Evidence-Based Practices
Whitman-Walker Health

INDEX

Presentation/ Presenter	Page
CALL TO ORDER AND OPENING REMARKS - Christopher P. Stowell, M.D., Ph.D.	7
INTRODUCTION OF COMMITTEE	7
CONFLICT OF INTEREST STATEMENT - LCDR Bryan Emery, M.A., RN, USPHS	10
TOPIC I: RECOMBINANT HUMAN COAGULATION FACTOR IX, GLYCOPEGYLATED	
INTRODUCTION TO TOPIC - Wilson Bryan, M.D.	14
NOVO NORDISK PRESENTATION	
Introduction - Shawn Hoskin	18
Public Health Need for Sustained Factor IX Activity Levels in the Normal or Non-Hemophilia Range - Guy Young, M.D.	21
Clinical Efficacy - Stephanie Seremetis, M.D.	24
Long-Term PEG Safety - Lars Wichmann Madsen, D.V.M., Ph.D.	30
Clinical Safety Data - Stephanie Seremetis, M.D.	38
Benefit-Risk of N9-GP - Guy Young, M.D.	41
FDA PRESENTATION	
Introduction - Chava Kimchi-Sarfaty, Ph.D.	46
Nonclinical Overview - Becky Robinson-Zeigler, Ph.D.	48
Clinical Overview - Megha Kaushal, M.D.	56
QUESTIONS FOR NOVO NORDISK AND FDA	61
INVITED SPEAKER PRESENTATION	
Development and Functions of the Choroid Plexus- Cerebrospinal Fluid System - Maria Lehtinen, Ph.D.	95
QUESTIONS FOR SPEAKER	108
OPEN PUBLIC HEARING	
Mark Skinner, J.D.	116
Wayne Cook	121
Ben Shuldiner	122
DISCUSSION QUESTIONS FOR THE COMMITTEE	
Question 1	132
Question 2	138
Question 3	164
Question 4	170
Question 5	176
COMMITTEE UPDATE	
Summary of Responses to FDA Docket Opened July 26, 2016: Blood Donor Deferral Policy for Reducing the Risk of HIV Transmission by Blood and Blood Products - Jennifer Scharpf, M.P.H.	182
OPEN PUBLIC HEARING	
Sharon Carayiannis	203
David Hardy, M.D.	204
ADJOURNMENT	206

MEETING

(8:31 a.m.)

1
2
3 DR. STOWELL: Good morning, everyone. Welcome to the
4 115th meeting of BPAC. We have an interesting couple days in
5 store for us, so thanks to all the regular members of the
6 Committee who have come, as well as the various invited
7 members, experts, and so forth who have come to contribute to
8 this meeting.

9 I'd like to begin by just introducing ourselves, go around
10 the table here, and I'll start with myself. I'm, as I said,
11 Christopher Stowell. I'm the Director of the Blood Transfusion
12 Service at Mass General.

13 And maybe we'll start down with you, Dr. Chitlur, and then
14 just come around the table. Yeah, press the red button to
15 speak in.

16 DR. CHITLUR: Sorry. I'm Meera Chitlur from Children's
17 Hospital of Michigan in Detroit. I'm a pediatric hematologist
18 and the Director of the Hemophilia Treatment Center there.

19 DR. ORTEL: Hi, Tom Ortel from Duke. I'm Chief of
20 Hematology there.

21 DR. BASAVARAJU: Sridhar Basavaraju, CDC Office of Blood,
22 Organ and Other Tissue Safety.

23 DR. DeVAN: Hi, I'm Mike DeVan. I'm at Walter Reed, and
24 I'm the Director of Transfusion Services.

25 DR. BAKER: Judith Baker with the Center for Inherited

1 Blood Disorders in Orange County, California, and the
2 University of California, Los Angeles.

3 DR. LERNER: Norma Lerner, pediatric hematologist at the
4 Blood Division of NHLBI/NIH.

5 DR. ELMORE: Susan Elmore, National Toxicology Program,
6 NIEHS, a veterinarian toxicologic pathologist.

7 LCDR EMERY: I'm Bryan Emery. I'm the DFO for this
8 meeting, and next will be Dr. Shapiro.

9 DR. SHAPIRO: I'm Kevin Shapiro. I'm a child neurologist
10 at the University of California, San Francisco.

11 MR. TEMPLIN: Christopher Templin, a person with
12 hemophilia B, a father of a daughter with hemophilia B.

13 DR. PACKER: Roger Packer. I'm a child neurologist. I'm
14 Senior Vice President of Neuroscience and Behavioral Medicine
15 at the Children's National Health Systems in Washington, D.C.

16 DR. GARMAN: Hi, I'm Bob Garman. I'm a veterinary
17 pathologist specializing in neuropathology.

18 MR. REES: Good morning, I'm Robert Rees. I'm the manager
19 of the New Jersey Department of Health Blood Bank Regulatory
20 Program.

21 DR. DODD: I'm Roger Dodd. I'm the Industry Rep replacing
22 for today Toby Simon. I was head of research and development
23 for the American Red Cross, now retired but still doing some
24 work for the Red Cross.

25 DR. LEHTINEN: Hi, I'm Maria Lehtinen. I'm an assistant

1 professor at Harvard Medical School and my research lab. I'm a
2 developmental neurobiologist at Boston Children's Hospital.

3 DR. STOWELL: And I believe we have one member who will be
4 calling in. I don't know if he's done so, so far, but that
5 would be Dr. DeKosky.

6 (Off microphone comment.)

7 DR. STOWELL: Okay, all right. The other thing that I'd
8 like to point out to you folks today at the beginning of the
9 meeting is that these things do not work if your face is like 6
10 inches away from them. The microphone has really got to be
11 less than 6 inches from your face; otherwise, it looks great,
12 but it doesn't amplify.

13 LCDR EMERY: Good morning. I'm Bryan Emery, the
14 Designated Federal Official for today's meeting of the Blood
15 Products Advisory Committee.

16 Mrs. Joanne Lipkind, Mrs. Denise Royster, and Mrs. Rosanna
17 Harvey are the Committee Management Specialists, and they can
18 assist you with any needs at the tables in the back.

19 I would like to welcome all of you to the 115th meeting of
20 the Advisory Committee held in the Thomas Douglas Conference
21 Center. Dr. Christopher Stowell is the Blood Products Advisory
22 Committee chair. The CBER press/media contact is Lyndsay
23 Meyer, and Tom Bowman will be the transcriber.

24 I would like to request that everyone please check your
25 cell phones and pagers to make sure they're turned off or in

1 silent mode.

2 Please also remember to speak directly into the microphone
3 at all times and please identify yourself; it is helpful for
4 the public, people attending by webcast and for the
5 transcriber, for the members around the table and the audience.

6 Coffee, drinks, and snacks are in the cafeteria for the
7 public, the general public, and that's out the door and off
8 into the right direction. For the Committee Panel members, the
9 lunches will be delivered to a break-off room which is right
10 through this door, Room 9225, and the coffee and your
11 refreshments are in there.

12 All committee topic and update discussion needs to be done
13 in a public forum and not in groups during breaks. The FDA and
14 public needs your advice and expertise.

15 The public and industry must stay behind the stanchions
16 and in the audience area. Please do not enter into the FDA or
17 BPAC committee table area. Please wait until the Open Public
18 Hearing designated time to make any remarks using the center
19 aisle microphone.

20 Now I'd like to read into the record the Conflict of
21 Interest Statement for this meeting.

22 Good morning. I welcome you to the Blood Products
23 Advisory Committee meeting. The Food and Drug Administration
24 is convening today, April 4th, 2017, for the 115th meeting of
25 the Blood Products Advisory Committee under the authority of

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 the Federal Advisory Committee Act of 1972.

2 On April 5th, in open session, the Committee will hear
3 overview presentations on the research programs in the
4 Laboratory of Emerging Pathogens in the Division of Emerging
5 Transfusion-Transmitted Diseases, Office of Blood Research and
6 Review, Center for Biologics Evaluation and Research

7 At the conclusion of the open session, the meeting will be
8 closed to permit discussion where disclosure would constitute
9 an unwarranted invasion of personal privacy in accordance with
10 5 U.S.C. During the closed session, the Committee will discuss
11 the research progress made by staff involved in the intramural
12 research programs and make recommendations regarding their
13 personnel actions and staffing decisions.

14 The following information on the status of the Advisory
15 Committee's compliance with Federal ethics and conflict of
16 interest laws including, but not limited to, 18 U.S. Code 208
17 is being provided to participants at this meeting and to the
18 public. This conflict of interest statement will be available
19 for review at the registration table.

20 With the exception of the Industry Representative, all
21 participants of the Committee are special Government employees
22 or regular Federal employees from other agencies and are
23 subject to federal conflict of interest laws and regulations.
24 Related to the discussions at this meeting for Topic I, members
25 and consultants of this Committee have been screened for

1 potential financial conflict of interest of their own, as well
2 as imputed to them, including those of their spouse and minor
3 children and, for the purposes of 18 U.S. Code 208, their
4 employers. These interests may include investments;
5 consulting; expert witness testimony; contracts/grants/CRADAs;
6 teaching/speaking/writing; patents/royalties; and primary
7 employment.

8 FDA has determined that all members of the Advisory
9 Committee are in compliance with federal ethics and conflict of
10 interest laws. Under 18 U.S. Code 208, Congress has authorized
11 FDA to grant waivers to special Government employees and
12 regular Government employees who have financial conflicts when
13 it is determined that the Agency's need for a particular
14 individual's service outweighs his or her potential financial
15 conflict of interest.

16 However, based on today's agenda and all financial
17 interests reported by members and consultants, no conflict of
18 interest waivers were issued under 18 U.S. Code 208.

19 Dr. Roger Dodd is currently serving as the Acting Industry
20 Representative to this Committee. Dr. Dodd is employed by the
21 American Red Cross, and representatives act on behalf of all
22 related industry and bring general industry perspective to the
23 Committee. Industry representatives are not special government
24 employees and do not vote and do not participate in the closed
25 sessions.

1 Dr. Judith Baker is serving as a temporary Consumer
2 Representative to this Committee at this meeting. She is
3 appointed as a special Government employee and a Temporary
4 Voting Member who will bring consumer perspective to the
5 Committee. Consumer representatives are screened for their
6 financial conflicts of interest and cleared prior to their
7 participation.

8 At this meeting there will be regulated industry speakers
9 and other outside organization speakers making presentations.
10 These speakers may have financial interests associated with
11 their employer or with other regulated firms. The FDA asks, in
12 the interest of fairness, that they address any current or
13 previous financial involvement with any firm whose product they
14 may wish to comment upon. These individuals are not screened
15 by the FDA for conflicts of interest.

16 FDA encourages all other participants to advise the
17 Committee of any financial relationships that you may have with
18 any firms, its products, and if known, its direct competitors.

19 We would like to remind members, consultants, and
20 participants that if the discussions involve any other products
21 or firms not already on the agenda for which the FDA
22 participant has a personal or imputed financial interest, the
23 participants need to exclude themselves from such involvement,
24 and their exclusion will be noted for the record.

25 This concludes my reading of the Conflict of Interest

1 statement for the public record.

2 DR. STOWELL: Thank you, Bryan.

3 The first topic for consideration, which will take us
4 through this afternoon, has to do with a PEGylated recombinant
5 factor IX, which has been developed by Novo Nordisk, and to
6 introduce this topic will be, from the FDA, Dr. Wilson Bryan.
7 So Dr. Bryan.

8 DR. BRYAN: Good morning. I'm Wilson Bryan. I'm Director
9 of the Office of Tissues and Advanced Therapies in the FDA
10 Center for Biologics Evaluation and Research.

11 Novo Nordisk has submitted this Biologics License
12 Application, or BLA, to bring a new therapy available for
13 patients with hemophilia B. This therapy is Coagulation Factor
14 IX (Recombinant), glycoPEGylated, which we will refer to as
15 N9-GP.

16 The Office of Tissues and Advanced Therapies, or OTAT,
17 appreciates that hemophilia B is a serious disease, and we need
18 new and improved therapies to benefit patients. We also
19 appreciate that this is a rare disease which limits the
20 availability of data to address issues of safety and
21 effectiveness.

22 OTAT is bringing this particular BLA to this Committee
23 because of a safety concern that we think warrants public
24 discussion. Particularly, we are concerned about a finding in
25 preclinical studies related to the accumulation of polyethylene

1 glycol, or PEG, in the choroid plexus of animals exposed to
2 N9-GP.

3 I should mention that among the FDA review team there are
4 a variety of levels of concern about this issue. Some of us
5 are extremely concerned, some of us not so concerned, and a
6 variety of intermediate levels of concern. In this situation,
7 we think that it's very important to have a public discussion
8 and to get perspectives from the individuals on this Committee
9 who have a variety of relevant expertise.

10 As will be obvious from the questions that we're posing,
11 we are particularly concerned about the potential for
12 neurologic or cognitive risks associated with this product.

13 The first question that we're asking the Committee is to
14 discuss the clinical significance, if any, of the preclinical
15 findings.

16 Then we ask the Committee to discuss the nature and level
17 of their concerns. And here, we're particularly interested in
18 some populations, particularly children, who have developing
19 brains, and the elderly, who may be neurologically vulnerable.

20 Next, we ask the Committee to consider the risks
21 associated with intermittent administration of the product
22 versus chronic administration of the product, even lifelong
23 administration of the product.

24 The last two questions: The next one focuses on whether
25 there are any clinical or laboratory assessments that might be

1 useful to protect the safety of the study subjects or the
2 safety of patients who receive this product. And then the last
3 question, whether there are additional studies, and those could
4 be preclinical studies or clinical studies, either premarketing
5 or postmarket, are there any additional studies that would help
6 us to consider this safety issue.

7 Now, I should point out that we're not asking this
8 Committee to consider all aspects of the BLA; particularly, we
9 have not asked for a discussion of the effectiveness of the
10 product, we don't have a voting question to get the overall
11 benefits versus overall risks of the product, and we're not
12 asking the Committee to particularly focus on safety issues
13 other than this particular safety issue regarding PEG
14 accumulation in the choroid plexus.

15 We also recognize that there are many marketed products
16 that are glycoPEGylated. Because of differences between those
17 products and among these glycoPEGylated products, including the
18 molecular weight of the PEG, the pharmacokinetics, the route of
19 administration, the duration of administration, because of
20 these variety of differences, the relevance of the preclinical
21 and clinical and postmarketing experience of these other
22 products to the Novo Nordisk product is unclear, and we don't
23 think that we can have a fair and balanced discussion of all of
24 these other PEGylated products in the context of this
25 Committee. So we're asking the Committee to focus really on

1 one issue, which is the safety issue related to PEG
2 accumulation in the choroid plexus.

3 I want to thank Dr. Lehtinen, who has kindly agreed to
4 give a presentation on the function of the choroid plexus. I
5 want to thank all the members of the Committee for taking their
6 time to consider this issue and help us out in thinking about
7 this BLA. I particularly want to thank, also, the members of
8 the FDA review team and the Advisory Committee staff who have
9 worked tirelessly to prepare for this meeting.

10 OTAT appreciates the folks who will be participating in
11 the Open Public Hearing today. We think it's very important
12 that we hear from patients and patient advocates regarding
13 these issues.

14 We also recognize that there are some folks who are very
15 interested, patients and physicians who are very interested in
16 this product who were not able to be here today and have sent
17 comments to the docket, and we look at those very carefully.

18 And last but certainly not least, I want to recognize that
19 developing a new therapy for the benefit of patients is a
20 challenging enterprise that requires courage and dedication,
21 and we very much appreciate the efforts of Novo Nordisk in
22 bringing this product this far in development.

23 So I'll stop there and turn it back over to Dr. Stowell.

24 DR. STOWELL: Thank you, Dr. Bryan.

25 The next set of presentations will be from Novo Nordisk,

1 and the first of the presenters will be Shawn Hoskin.

2 MR. HOSKIN: Good morning, Mr. Chairman, members of the
3 Committee, FDA colleagues, ladies and gentlemen. My name is
4 Shawn Hoskin, and I am the Senior Director of Regulatory
5 Affairs for Novo Nordisk.

6 Novo Nordisk is pleased to be here today to review our
7 coagulation factor IX glycoPEGylated hemophilia medicine, also
8 referred to as nonacog beta pegol or N9-GP, for short.

9 Hemophilia B is a bleeding disorder caused by a deficiency
10 in factor IX, a protein that regulates clotting. It is an
11 ultra-rare disorder. In the U.S. there are approximately 1,000
12 patients diagnosed with severe hemophilia B.

13 The likelihood and severity of bleeding episodes are
14 generally inversely related to the amount of factor IX, with
15 low factor levels having the highest risk. Therefore,
16 treatment of hemophilia B is focused on replacing factor IX
17 historically at levels above 1% factor IX activity.

18 N9-GP is a new biological treatment that is specifically
19 designed to provide higher levels of factor IX for patients.
20 With N9-GP, it is possible for adults and adolescents to
21 maintain factor IX activity levels in the non-hemophilia range
22 for most of the dosing cycle with a once-weekly injection.

23 For children, all hemophilia products have more rapid
24 clearance. Nevertheless, N9-GP is able to sustain factor IX
25 levels substantially above what can be achieved with current

1 products with the same simple once-weekly dosing regimen.

2 Our proposed indication is for use in adults and children
3 with hemophilia B for control and prevention of bleeding
4 episodes, perioperative management, and routine prophylaxis.

5 Taking a closer look at the molecule, N9-GP is a
6 recombinant factor IX that uses PEGylation to extend the half-
7 life of the molecule. PEGylation is a well-established
8 technology with an accepted safety profile that has been used
9 to prolong the half-life of many marketed chronic use products.
10 Today, you're being asked to consider the clinical
11 significance, if any, of nonclinical findings related to PEG
12 accumulation in clearance from tissues, including the choroid
13 plexus.

14 Novo Nordisk has conducted a range of acute and chronic
15 animal studies, which we will elaborate on later in the
16 presentation. These studies will provide data to address the
17 questions raised by FDA.

18 In summary, no adverse findings were observed at doses up
19 to 42 times the human clinical dose, and specifically, no
20 adverse effects related to PEG were reported in nonclinical
21 studies. However, since N9-GP is a novel therapy, Novo Nordisk
22 will continue to monitor its long-term safety, including
23 potential risks associated with chronic exposure to a PEGylated
24 product.

25 To briefly outline our clinical development program, we

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 conducted five clinical trials with N9-GP, including Phase III
2 trials for prophylaxis and episodic treatment in children and
3 adults, treatment in surgery, and extensions to collect
4 additional safety data.

5 Our trials demonstrate that the higher factor IX levels
6 achieved with N9-GP lead to better outcomes for patients,
7 including a reduced annualized bleeding rate, or ABR, a
8 reduction in the number of spontaneous bleeds, and resolution
9 of target joints. Target joints remain the most important
10 consequence of inadequate treatment of hemophilia.

11 The overall safety profile of N9-GP is consistent with
12 that of current hemophilia B treatments. And the once-weekly
13 dosing reduces the number of infusions and the overall
14 administration burden on patients.

15 I'll now review our agenda and presenters for this
16 morning. Dr. Guy Young will discuss the unmet public health
17 need for sustained factor IX activity levels in the normal or
18 non-hemophilia range. Dr. Stephanie Seremetis will review
19 clinical efficacy. Dr. Lars Madsen will address long-term PEG
20 safety. And Dr. Seremetis will present the clinical safety
21 data. Lastly, Dr. Young will share his perspective on the
22 benefit-risk of N9-GP.

23 We are also joined by additional experts. They or their
24 institutions have been compensated for their time and travel
25 expenses.

1 I'll now invite Dr. Young to the podium.

2 DR. YOUNG: Good morning. My name is Guy Young. I'm the
3 Director of the Hemostasis and Thrombosis Center at Children's
4 Hospital Los Angeles and a Professor of Pediatrics at the
5 University of Southern California Keck School of Medicine. It
6 is my pleasure to be here with you to discuss the unmet needs
7 in patients with hemophilia B.

8 Hemophilia B is a serious and potentially life-threatening
9 bleeding disorder. The most common manifestation of the
10 disorder is bleeding into the joints. Bleeding begins at a
11 very early age and can reoccur throughout a patient's life.
12 When inadequately treated, hemophilia B can lead to recurrent
13 bleeds and eventually permanent joint damage and reduced
14 quality of life.

15 The severity of bleeding in hemophilia generally
16 correlates with factor IX activity levels. Patients with
17 severe hemophilia experience bleeds, often without a cause,
18 also referred to as spontaneous bleeds. Patients with mild and
19 moderate hemophilia can also experience spontaneous bleeds but
20 more often bleed as a result of surgery or trauma.

21 A single bleed can initiate a cycle of recurrent joint
22 bleeds. A joint which experiences repeated bleeding is
23 referred to as a target joint, and symptoms of target joint
24 formation include chronic pain and reduced range of motion,
25 typically become evident in adolescence and progress through

1 adulthood, as repeated bleeding episodes prevent healing.
2 Ultimately, this can lead to irreversible joint damage, and
3 this risk prompts the use of prophylaxis therapy to prevent or
4 delay damage.

5 Historically, patients on prophylaxis have been treated to
6 target a trough of 1% factor activity. But increasing evidence
7 suggests that achieving this level does not eliminate bleeding.
8 In addition, asymptomatic bleeds may occur and go untreated.
9 This means patients are still at risk for joint damage even
10 with troughs around 1%.

11 For example, two studies that followed children treated to
12 troughs around 1% with prophylaxis showed soft tissue changes
13 in and around their joints by MRI even though they were unaware
14 of any joint bleeding. These changes have been seen in ankles,
15 knees, and elbows as early as age 6. Knowing this, patients
16 and families often limit their normal activities to avoid both
17 silent and overt bleeds. But if targeting to greater than 1%
18 with prophylaxis isn't effective, then what is the right
19 target?

20 In a U.S. study, the CDC evaluated the association between
21 joint bleeds and factor IX levels in mild and moderate
22 hemophilia B patients receiving on-demand treatment. Here are
23 regression models of the data by age group. Overall, the
24 models predict about one joint bleed every 2 years in patients
25 with factor levels at 15%. While current data do not identify

1 the precise factor level above 1% of target during prophylaxis,
2 the hemophilia community is discussing new treatment goals
3 surrounding higher levels. But treatment burden cannot be
4 forgotten. Frequent intravenous injections are needed to
5 provide therapy, and these can be burdensome. Standard
6 products require two to three injections per week to maintain
7 levels to 1%.

8 In addition to time, treatment also requires venous
9 access. In some patients, like children, just hitting a vein
10 may be difficult, and frequent needle sticks can cause pain and
11 anxiety. Thus, there is an unmet medical need for a therapy
12 which reduces treatment burden while achieving higher factor
13 levels. By sustaining higher factor levels, it may be possible
14 to reduce the risk for bleeding, prevent the development of
15 target joints or the progression of joint disease in patients
16 with preexisting target joints.

17 So what might having normal factor IX levels mean to a
18 patient? It could mean living without a constant fear of
19 bleeding. It could mean being active instead of avoiding
20 normal activities. It could mean being able to go to work or
21 participate in sports or, for children, just to go out and
22 play. It could mean living a normal life.

23 To summarize, what we need in hemophilia B are safe and
24 efficacious products that also reduce the burden of treatment
25 and disease. This means having products that maintain higher

1 factor levels with fewer injections.

2 Thank you for your attention, and I will now turn the
3 presentation over to Dr. Stephanie Seremetis.

4 DR. SEREMETIS: Thank you, Dr. Young.

5 My name is Stephanie Seremetis, and I am the Chief Medical
6 Officer for Biopharmaceuticals at Novo Nordisk and responsible
7 for the N9-GP clinical program.

8 Prior to joining Novo Nordisk, I was director of one of
9 the largest hemophilia centers in the U.S. and treated patients
10 with hemophilia for more than 20 years. Our clinical program
11 was designed to test the hypothesis that maintaining higher
12 factor IX levels may help to reduce bleeding and resolve target
13 joints. The program followed published guidelines for
14 hemophilia B from EMA as well as FDA precedent and feedback.
15 Once weekly 40 international units per kilogram dose was
16 selected to achieve higher levels than current products for
17 children, adolescents, and adults. This dose should achieve
18 factor IX levels in the non-hemophilia range of about 40% for
19 more than a week.

20 For comparison purposes, the 10 international unit per
21 kilogram dose was expected to provide factor IX levels
22 analogous to those achievable with current factor IX products.
23 We conducted five clinical trials in patients with hemophilia
24 B. We will focus on three of them: adults/adolescents,
25 children, and surgery.

1 Let's review the common endpoints. The primary endpoint
2 was inhibitory antibody formation expressed as a limit of one
3 inhibitor in 50 previously treated patients. We had none.
4 Furthermore, we met the predefined hierarchical endpoints that
5 allowed us to complete the planned statistical analysis.
6 Hemostatic efficacy was 92.2%, the annualized bleed rate was
7 2.51 bleeds per year, below the pre-specified threshold for the
8 40 IU per kilogram dose.

9 I will now discuss key points from the trial in adolescent
10 and adult patients. For the prophylactic part of this trial,
11 patients were blinded and randomized to one of two dosing
12 regimens: either 40 international units per kilogram or 10
13 international units per kilogram once weekly. The 40 IU per
14 kilogram dose allows us to test the hypothesis that keeping
15 patients in the normal non-hemophilia range is beneficial. In
16 comparison, the 10 IU per kilogram dose acts as an active
17 control, keeping patients in the moderate or low-mild range
18 with trough levels of 4 to 8% factor activity.

19 The data we'll present support our hypothesis that keeping
20 factor IX levels in a non-hemophilia range translates into
21 improvements across all efficacy measures.

22 Moving now to the results, looking first at the PK data,
23 we see that the 40 IU per kilogram dose, as predicted, keeps
24 most adult patients in the non-hemophilia range above 40% for
25 most of the week. In comparison, the 10 IU per kilogram dose

1 provides fourfold lower factor IX levels, similar to today's
2 treatment standards. Importantly, these are head-to-head
3 randomized data.

4 On average, all of the 40 IU per kilogram dose patients
5 were above 31% for the entire treatment week and are further
6 maintained in the non-hemophilia range for almost five ½ days
7 each week. These PK results predict the efficacy results that
8 we will now show you. You will see that today's standard
9 treatment, based on low factor IX troughs, are no longer
10 sufficient when now we have the opportunity to sustain normal
11 levels with N9-GP.

12 Let's look at how higher factor levels impact the rate of
13 bleeding.

14 In the blinded randomized trial, 40 international units
15 per kilogram once weekly in adult and adolescent patients
16 resulted in an estimated mean annualized bleeding rate of 2.51,
17 which was superior to the 10 IU per kilogram control arm with a
18 p-value of 0.03. This result is reinforced by the median ABR
19 of 1.04 with 40 international units per kilogram.

20 Now, let's move on to target joints, the most important
21 complication of inadequate treatment of hemophilia.

22 Here we are looking at patients who entered the trial with
23 preexisting target joints. As defined by the International
24 Society of Thrombosis and Hemostasis, target joints are very
25 damaged joints that bleed three or more times in a 6-month

1 period. Forty IU per kilogram was better than 10 IU per
2 kilogram for target joints. At the end of the trial, 67% of
3 the 15 patients with preexisting target joints receiving 40 IU
4 per kilogram reported no target joint bleeding requiring
5 treatment. In comparison, only 8%, that is 1 of 13 patients,
6 treated to lower factor IX levels, were free of target joint
7 bleeds.

8 Importantly, when looking at each joint in the group
9 randomized to receive 40 IU per kilogram, 90% of the 20
10 baseline target joints were no longer considered a target joint
11 compared to only 58% in the 10 IU arm. These data show that in
12 most patients we can prevent target joint bleeds with higher
13 factor IX levels.

14 How do these results translate to quality of life? Using
15 the EQ-5D VAS scale, which measures patients' overall health
16 today, we see that only 40 IU per kilogram results in an
17 improvement in patients' quality of life from baseline to the
18 end of the trial. In comparison, we see no change in the 10 IU
19 per kilogram arm.

20 Turning to our pediatric study, this trial was an open-
21 label confirmatory trial evaluating safety, efficacy, and
22 pharmacokinetics of N9-GP in prophylaxis therapy in previously
23 treated hemophilia B children up to 12 years of age. The main
24 trial lasted 52 weeks with the option to continue N9-GP in the
25 extension phase. Overall N9-GP dose at 40 IU per kilogram once

1 weekly maintains higher factor IX levels than typical factor IX
2 therapy can. N9-GP is able to keep these children above levels
3 of 15% for those ages 0 to 6, and 19% for those ages 7 to 12
4 for the entire week. The pediatric patients had lower factor
5 IX levels than the adults, since younger patients have more
6 rapid clearance of factor IX.

7 Let's look at the clinical outcomes. As predicted by the
8 high activity level, we saw a low annualized bleed rate in
9 children regardless of age. Using a Poisson regression model,
10 the estimated ABR was 1.44 bleeds per patient per year for the
11 entire patient population.

12 By age, patients between the age of 0 and 6 had 0.87
13 bleeds per patient per year, and patients aged 7 to 12 had 1.88
14 bleeds per patient per year. These rates are lower than
15 published for other factor IX products, supporting the
16 hypothesis that higher factor IX levels can reduce the risk for
17 bleeding.

18 Turning now to the surgery study, this was an open-label
19 trial to assess efficacy and safety during major surgical
20 procedures in patients greater than 12 years of age with
21 hemophilia B. Thirteen patients were enrolled ranging in age
22 from 15 to 56 years. On the day of surgery, all patients
23 received a single 80 IU per kilogram dose administered 15
24 minutes to 4 hours prior to surgery. During the postoperative
25 period, patients could receive up to two doses of 40 IU per

1 kilogram N9-GP. From Day 7 to 14, additional doses could be
2 given.

3 All surgeries performed were elective and non-emergency.
4 Nine of the 13 were for orthopedic surgery to address
5 musculoskeletal deterioration, including knee, hip, ankle, and
6 Achilles tendon repair. These highlight the importance of
7 preventing overt joint bleeds and micro-bleeds that can lead to
8 joint deterioration. The other four surgeries were for molar
9 extractions and rectal surgery.

10 Let's look now at the results. The hemostatic effect of
11 N9-GP was confirmed with a success rate of 100% in 13
12 surgeries. No patients required additional dosing during
13 surgery. We also examined the post-surgical use of N9-GP.

14 The mean number of injections was two in the post-surgery
15 0 to 6-day period, and 1.5 in the post-surgery 7 to 13-day
16 period. The mean accumulative dose of N9-GP injections was 3.8
17 in the post-surgery period to the end of the trial. This is an
18 important consideration for treating physicians because
19 unmodified factor IX therapies would require approximately 17
20 injections over 2 weeks to maintain World Federation of
21 Hemophilia recommended factor levels during surgery.

22 To conclude our efficacy presentation, the N9-GP clinical
23 program demonstrates the value of higher factor IX levels and
24 the value of treating patients to the normal non-hemophilia
25 range.

1 Across all endpoints, we see that the 40 IU per kilogram
2 dose performs statistically and clinically better than the 10
3 IU per kilogram dose. The 10 IU dose represents factor IX
4 levels and efficacy reported with today's available standard
5 and extended half-life products.

6 Our trial has shown that these target levels are
7 inadequate. With higher levels we see an improvement in both
8 ABR and in target joints.

9 Forty IU per kilogram N9-GP is the only long-acting
10 product that achieves sustained factor IX levels in the normal
11 range without excessive and untested dosing.

12 I'll now turn the presentation over to Dr. Lars Madsen to
13 present our PEG-related safety data.

14 DR. MADSEN: Thank you. I'm Lars Madsen, head of
15 nonclinical development at Novo Nordisk. I'll now review our
16 nonclinical study data focusing on the key questions related to
17 long-term exposure to PEG. This includes PEG accumulation and
18 clearance from tissues, including the choroid plexus. It also
19 includes the significance of PEG presence.

20 We observed vacuoles microscopically in some tissues, both
21 in animals dosed with N9-GP and in controls. And it is
22 relevant to consider whether PEG may contribute to vacuole
23 formation. Further, I'll provide context to assess the
24 clinical significance of our nonclinical data. Let me begin by
25 giving you some brief background on PEG, vacuoles, and the

1 choroid plexus.

2 PEG is used widely and supported by substantial
3 nonclinical and clinical data. Multiple peer-reviewed
4 publications conclude that PEG is inert and not associated with
5 toxicity. However, vacuolations of cells is a finding observed
6 in some animal studies using very high doses. This has been
7 described as an adaptive response. When observed with 40-kDa
8 PEG, the size used in N9-GP, vacuolation was often seen in
9 macrophages and the choroid plexus. Yet, vacuoles have not
10 been associated with cellular damage.

11 This published illustration shows the proposed mechanism
12 for how a PEGylated protein is taken up and removed from a
13 cell. First, the protein is taken up by fluid-phase
14 pinocytosis and transported into the endosome and lysosome
15 represented by numbers 1 and 2. Then the protein is
16 metabolized, as shown by Step 3. Metabolic products, including
17 PEG, are excreted from the cell back into the bloodstream,
18 which is shown as 4. At high PEG concentrations, lysosomal
19 vesicles may increase in size and become less visible as
20 vacuoles.

21 So what are vacuoles, and how do we identify them in
22 tissues? Vacuoles are normal cellular structures involved in
23 endocytosis and exocytosis, which are physiological processes.
24 Vacuoles can be observed using standard light microscopy. They
25 are often found in excretory tissues and phagocytic cells, for

1 example, in lymph node, macrophages, as shown here from a
2 control animal.

3 Vacuolation is defined as an increase in the number of
4 size of vacuoles. Importantly, there are no data to suggest
5 that vacuolation in itself is adverse.

6 In relation to vacuoles in 40-kDa PEG, the choroid plexus
7 is of particular interest. It is a highly vascularized tissue
8 situated in the ventricles of the brain. The choroid plexus is
9 formed prior to birth and maintains production of the cerebral
10 spinal fluid. It also acts as a filtration system as part of
11 the blood-CSF barrier.

12 With this background information in mind, let's review the
13 nonclinical data for N9-GP.

14 Novo Nordisk conducted six nonclinical toxicity studies.
15 There were no PEG-related histopathological changes in any
16 tissue after dosing with N9-GP in rats and monkeys. Also, we
17 saw no vacuolation related to treatment with N9-GP.

18 Using additional sensitive techniques, PEG was detectable
19 in vascularized tissues, including the choroid plexus.
20 However, PEG was not detected in the brain tissue and does not
21 cross the blood-brain barrier.

22 Further, our data show that PEG is eliminated from both
23 plasma and tissues. These data indicate that steady state was
24 reached, after which no further accumulation occurred.

25 Overall, we found no PEG-related safety concerns using

1 high multiples of the clinical dose of N9-GP. Our studies
2 included clinical observations, hematology, clinical chemistry,
3 as well as histopathological examination of more than 40
4 tissues from every animal. There were no adverse findings in
5 the longest study, a 26-week study in rats. In monkeys dosed
6 with 3,750 international unit per kilogram, that is more than
7 90-fold the clinical dose of N9-GP, transient tremors were
8 observed at one or two occasions initially in the study. These
9 reactions were considered infusion related as they were only
10 seen following the first few doses.

11 The only adverse pathology finding was the expected
12 development of acquired hemophilia due to formation of cross-
13 reacting antibodies. This is a well-known phenomenon when
14 using human correlation factors to animals.

15 Looking now at the question around vacuoles in more
16 detail, we saw no signs that PEG caused vacuolation in any
17 tissue in rats dosed chronically for 26 weeks. This is shown
18 by the similarity of incidents between the vehicle control in
19 black and N9-GP in gray.

20 As you can see, there were comparable incidences of
21 minimal to slight vacuoles in the different tissues. Vacuoles
22 were only observed in 9 out of more than 40 tissues examined
23 and in 68 out of 3,200 tissue slides examined. There was no
24 consistent pattern or differences that would indicate an
25 association with PEG. We further examined vacuoles for the

1 presence of PEG, and it was not detected.

2 This study was assessed by two external board-certified
3 pathologists. Their conclusion was that these sporadic
4 vacuoles were background findings.

5 The same conclusion was reached in monkeys dosed for 4 and
6 13 weeks. Here, vacuoles were only observed in one tissue, the
7 liver, and they were only observed in two animals, one vehicle
8 control and one animal dosed with N9-GP; that is, two out of
9 more than 1,800 tissues.

10 So in all of our animal studies, there was no indication
11 that N9-GP causes vacuolation. Yet, to better understand PEG
12 safety, we expanded our studies with more extensive analysis.
13 These studies also showed the choroid plexus was not affected
14 by the presence of PEG.

15 We examined PEG presence, localizations, and effects by
16 sensitive techniques beyond those typically applied in toxicity
17 studies. Applying a specific immunohistochemical staining, we
18 found that PEG was distributed via the blood to connective
19 tissue and epithelial cells of the choroid plexus. PEG was not
20 detected in brain tissue.

21 Applying electron microscopy in the rat study, we observed
22 lysosomes with PEG. This was consistent with the filtration
23 function of the choroid plexus as well as with what is known
24 about PEG.

25 The data also confirmed that there were no

1 ultra-structural signs of toxicity inflammation degeneration or
2 necrosis; thus, the presence of PEG was not associated with any
3 signs of cellular damage.

4 We next investigated the kinetics of PEG accumulation,
5 which required a quantitative approach. These data
6 demonstrated that PEG is eliminated from plasma and tissues and
7 excreted via the kidney and liver.

8 We conducted a single-dose rat tissue distribution study
9 and were able to quantitate PEG using N9-GP with a radiolabeled
10 PEG moiety. As shown here for plasma, kidney, liver, and
11 choroid plexus, the PEG concentration decreases over time from
12 1 hour to 12 weeks with elimination from plasma and tissues.
13 There was no evidence that PEG is stored in any tissue.

14 These data were then used to determine elimination rates
15 in key tissues. As shown by the green lines, linear regression
16 was used to determine the terminal half-life of PEG. The
17 terminal half-life of N9-GP PEG ranged from 15 to 49 days in
18 these rat tissues.

19 We then estimated human half-lives using allometric
20 scaling. These data could be used to estimate time to steady
21 state, that is, the time it takes to reach stable PEG
22 concentrations. The results show that during the 26-week rat
23 study, steady state was achieved in both plasma and tissues.

24 In humans, time to steady state was within 2 years for
25 both plasma and all tissues, including the choroid plexus.

1 This is important since we already have patients who have been
2 treated with N9-GP for 2 to 4 years in a clinical program.

3 We further used the PEG distribution data to build a
4 plasma tissue model, since tissue PEG concentrations are
5 determined by plasma levels. Based on the relationship between
6 PEG plasma and tissue levels in rats, we used this model to
7 predict for humans. Thus, combining modeling and allometric
8 scaling, PEG concentrations were predicted for human plasma and
9 tissues.

10 In this figure, the area between the dashed lines
11 represents the predicted human plasma concentrations over time
12 for children and adolescents. In this population, we were able
13 to generate PEG plasma concentration data. Let's look at the
14 data.

15 These symbols represent actual PEG concentrations in
16 plasma samples taken over time from children and adolescents
17 participating in the ongoing pediatric trial with N9-GP. These
18 are PEG data for up to 4.5 years of dosing.

19 Plasma levels measured were within the predicted steady
20 state range with low variability. This validated the model
21 prediction.

22 The only outlier was one patient at one time point. This
23 patient, the top red diamond, had a period of heavy N9-GP
24 treatment resulting in higher levels. He returned to steady
25 state PEG levels after resuming his normal weekly prophylactic

1 dose. This pattern was also predicted by the model. Based on
2 these data, we conclude that steady state has been reached in
3 human plasma.

4 Steady state is equilibrium between the rate of input and
5 the rate of output. Here, no further accumulation occurs.
6 Measuring PEG steady state concentrations in human tissue, such
7 as the choroid plexus, is not feasible; therefore, we used
8 established pharmacokinetic principles to predict these based
9 on animal data. Thus, the rat data were again scaled
10 allometrically to predict for human tissues.

11 Now, on similar algorithmic scales, we see the actual
12 plasma PEG levels in humans shown on the left in symbols and
13 the predicted choroid plexus concentration shown on the right.
14 The predicted steady state PEG levels from a 26-week rat study
15 are represented by dash lines. For both plasma and tissues,
16 PEG steady state levels were significantly lower in humans than
17 in rats.

18 To summarize, our nonclinical data support long-term PEG
19 safety with N9-GP. We observed no adverse findings related to
20 PEG in animal studies using high multiples of the clinical
21 dose. Also, there was no evidence that N9-GP caused
22 vacuolation in any tissue, including the choroid plexus.

23 Our data are consistent with literature supporting that
24 PEG is inert and does not cause tissue damage. Clinical data
25 also support the long-term PEG safety.

1 Steady state levels of PEG were reached in our clinical
2 program, and based on our comprehensive nonclinical
3 investigations and literature, we conclude that it is highly
4 unlikely that PEG levels resulting from N9-GP dosing will have
5 any clinical effect.

6 However, acknowledging the residual uncertainty, Novo
7 Nordisk plans to continue surveillance related to PEG safety.
8 This will be described later.

9 Dr. Seremetis will now present our clinical safety data.

10 DR. SEREMETIS: Thank you, Dr. Madsen.

11 One hundred and fifteen patients were exposed to N9-GP in
12 the completed clinical trials. In total, we have 226 patient-
13 years of treatment. The overall safety profile across all age
14 groups is similar to those reported with other factor IX
15 products. Eighty-five percent of patients experienced an AE
16 with 9% classified as severe; 10% of patients had a serious
17 adverse event.

18 This slide shows the AEs from the pooled trial that align
19 with events reported in other published hemophilia B trials
20 using factor IX replacement. As you can see, many could be
21 signs and symptoms related to the underlying condition of
22 hemophilia. Other events, like infections, contusions, GI
23 events, and headaches are events that are expected to occur
24 occasionally in the general population as well as in hemophilia
25 patients.

1 Moving now to SAEs, in the completed trials, a total of 12
2 serious adverse events were reported. Other than one case of
3 hypersensitivity in the single-dose PK trial, no events were
4 considered related to N9-GP by the investigator.

5 Novo Nordisk actively investigated potential safety risks.
6 Across all age groups, N9-GP has a safety profile similar to
7 that of other factor IX therapies.

8 There were no AEs suggestive of thromboembolic events.
9 There was no indication of increased hypersensitivity compared
10 to other factor IX products. There was only one event of
11 hypersensitivity observed in 8,801 injections in previously
12 treated patients, and none of the previously treated patients
13 developed factor IX inhibitors.

14 We also investigated for possible neurologic signals.
15 Overall, no safety concerns were identified based on
16 neurological assessments. These included prospective
17 assessments of the nervous and musculoskeletal systems as part
18 of the physical exams in all clinical trials.

19 The protocols specified general evaluations of the central
20 and peripheral nervous systems, musculoskeletal function, and
21 general appearance assessment of the patient.

22 To more comprehensively address the theoretical safety
23 concern, we also performed a thorough retrospective analysis of
24 neurologic, psychosocial, and developmental adverse events.

25 Acknowledging the possible uncertainty around long-term

1 PEG safety, we propose a robust multifactorial post-approval
2 monitoring plan. We will continue to collect data from our
3 ongoing pediatric trials. We are developing a post-approval
4 safety study, including monitoring of renal, hepatic, and
5 neurologic function. We are expanding our existing
6 collaborations with registries, both national and
7 international. And specific questions have been designed to
8 collect additional details related to renal, hepatic, and
9 neurologic function for any events reported.

10 Let's review each of these elements. We will continue to
11 collect data from our pediatric patients in our ongoing
12 clinical trials. This includes 19 previously treated patients
13 still active in the extension trial. For previously untreated
14 patients, we already have 17 patients in treatment and plan to
15 enroll a total of 40. This represents a large prospective
16 cohort, given the rarity of hemophilia B.

17 Periodic clinical evaluations will support the
18 surveillance of neurologic, psychosocial, and developmental
19 status. We will continue to assay for renal and hepatic
20 biomarkers and collect plasma PEG levels moving forward. We
21 are also developing a post-approval safety study to assess
22 overall safety with a focus on monitoring neurologic, renal,
23 and hepatic systems growth and development. The planned study
24 will also include an exploratory measurement of PEG plasma
25 levels.

1 We anticipate enrolling at least 50 patients with 5 years
2 of follow-up. We have ongoing collaborations with
3 international and national hemophilia registries, including a
4 pediatric registry known as PedNet and the European Haemophilia
5 Safety Surveillance Network, or EUHASS. We will closely work
6 with them to identify and collect all potential AEs, in
7 particular, those relating to renal, hepatic, and neurologic
8 signs or symptoms. And we have also undertaken initial
9 discussions regarding a collaboration in the U.S. with the
10 American Thrombosis and Hemostasis Network, or ATHN, which
11 collects data from all U.S. hemophilia treatment centers.

12 In addition, Novo Nordisk will follow up with specific
13 questions for all events potentially indicative of or related
14 to impaired renal, hepatic, or neurologic function. This
15 includes follow-up of neurologic symptoms such as seizures,
16 non-resolving or repetitive headaches, or developmental delays.
17 These additional surveillance measures will help us to detect
18 new signals and ensure patient safety.

19 Dr. Young will now provide his clinical perspective and
20 review of the benefit-risk of N9-GP.

21 DR. YOUNG: Thank you, Dr. Seremetis.

22 I'll now present the benefit-risk of 40 IU per kilogram
23 once weekly N9-GP. First, here are the benefits of N9-GP
24 across all age groups. N9-GP maintains higher factor IX levels
25 than current standard and extended half-life products with a

1 simple once-weekly dose.

2 There were significant and clinically meaningful outcomes
3 in hemophilia patients treated with N9-GP. These included low
4 annualized bleeding rates, and importantly, most patients with
5 preexisting target joints did not have target joint bleeding
6 during the study. These improvements led to enhanced quality
7 of life. So let's look at the data supporting these benefits.

8 Shown here are simulated factor IX levels in adolescents
9 and adults for the two currently approved extended half-life
10 products, Idelvion and Alprolix. For most of the week,
11 patients do not maintain high factor levels with these
12 products. Little time is spent above 40% with once-weekly
13 injections. In contrast, here's N9-GP where factor levels are
14 sustained above 40% for most of the week.

15 Patients on 40 IU per kilogram N9-GP, including children,
16 adolescents, and adults, had a median annualized bleeding rate
17 between 0 and 2 and an annualized spontaneous bleeding rate
18 of 0. Patients on 10 IU per kilogram did not achieve the same
19 level of protection against bleeds.

20 To put these results into context, the 10 IU per kilogram
21 dose achieves factor IX levels similar to currently available
22 products.

23 From a clinician's perspective, I wanted to know the
24 potential impact of N9-GP on the three most important concerns
25 for patients: spontaneous bleeding, target joints, and

1 mobility. Regarding spontaneous bleeding, I found that
2 considering only the ABR really understates the benefits of
3 N9-GP. To appreciate the whole story, it's important to also
4 look at the number of patients on 40 IU per kilo who had no
5 spontaneous bleeds. In fact, 83% of younger children and 69%
6 of older children had no spontaneous bleeding during 1 year of
7 treatment. And 69% of adolescents and adults experienced no
8 spontaneous bleeds over the same treatment duration. This is
9 impressive considering that many of these patients had
10 preexisting target joints or arthritis.

11 I really want to emphasize that the formation of target
12 joints is the most important complication of inadequate
13 hemophilia treatment. Here I'm showing data that you saw
14 earlier, just to underline the benefit of 40 IU per kilogram
15 N9-GP in the treatment of target joints.

16 Two-thirds of the patients who entered the trial with
17 target joints did not have any target joint bleeds on the 40 IU
18 per kilogram dose; in comparison, the 10 IU per kilogram dose,
19 which is comparable to other therapies, does not alleviate
20 target joint bleeding.

21 Although not shown on this slide, all patients on 40 IU
22 per kilogram who continued in the extension trial had target
23 joint resolution.

24 So how did the N9-GP treatment impact mobility? We see
25 improvement in mobility with the 40 IU per kilogram dose

1 supporting that higher factor levels lead to meaningful
2 outcomes for patients. This is shown by looking at the
3 mobility dimension contained in the EQ-5D. About half of the
4 patients randomized to the 40 IU per kilogram dose reported
5 problems walking at baseline, but within just 1 year of
6 treatment with N9-GP, almost 80% had no problems walking. This
7 is very important to my patients since being able to walk
8 affects every aspect of their life.

9 Of course, we, as treaters, need to consider the potential
10 risks. Long-term safety data of N9-GP in all age groups
11 revealed a safety profile similar to the other factor IX
12 products with no unexpected risks. Importantly, safety in
13 children age 0 to 12 is supported by more than 4 years of
14 active treatment data. And these data, along with the
15 nonclinical data shown earlier, support PEG safety.

16 In addition, I really want to emphasize that PEG is not
17 new. As a pediatric hematologist/oncologist, I and my
18 colleagues have been treating children with PEGylated products
19 for years.

20 To summarize, I believe N9-GP has a favorable benefit-risk
21 across all age groups. High factor levels drive a reduction in
22 ABR, the resolution of target joints, and improvements in
23 quality of life. These treatment outcomes are meaningful to my
24 patients.

25 Ultimately, by sustaining higher factor levels, N9-GP

1 achieves better outcomes with less treatment burden.

2 In addition, N9-GP effectively treats bleeds and provides
3 coverage during and after surgery.

4 Lastly, the long-term safety of N9-GP has been
5 demonstrated with more than 4 years of data in children and a
6 safety profile similar to that of other factor IX products.

7 Thank you very much for your attention.

8 DR. STOWELL: Thank you to the presenters for Novo
9 Nordisk. We will hold questions. There will be a period for
10 questioning later on, both of the presenters from Novo Nordisk
11 as well as the FDA.

12 And so we'll move along, then, to the FDA presentation,
13 and I think the first speaker will be Dr. Kimchi-Sarfaty.

14 (Pause.)

15 DR. STOWELL: Also, I just want to
16 introduce -- Dr. DeKosky was able to join us by telephone. Can
17 you speak up, let us know you're here?

18 DR. DeKOSKY: Sorry, I'm here. Thank you, everyone.

19 DR. STOWELL: Okay. And also, we have a couple people,
20 members of the Committee who came in late, if you could just
21 introduce yourselves, starting --

22 DR. LEITMAN: Susan Leitman, Director of the Medical
23 Research Scholars Program at the NIH and formerly Deputy Chief
24 of the Department of Transfusion at NIH for about 30 years.

25 DR. STOWELL: Dr. Dobbs.

1 DR. DOBBS: Thanks. Dr. Michael Dobbs, Professor of
2 Neurology at the University of Kentucky and Director of the
3 Stroke Care Network also there.

4 DR. STOWELL: Dr. Sarfaty. Oh.

5 DR. ONYIKE: Sorry, one more introduction. Dr. Chiadi
6 Onyike, Associate Professor of Psychiatry and Behavioral
7 Sciences at Johns Hopkins and Director of the Young-Onset
8 Dementias Program.

9 DR. MANUELIDIS: Dr. Manuelidis. I'm head of
10 neuropathology in the surgery department at Yale, and
11 basically, I've seen lots of neurodegenerative diseases and
12 ultrastructure. I'm a pathologist, a neuropathologist by
13 training.

14 DR. KIMCHI-SARFATY: Good morning, my name is Chava
15 Kimchi-Sarfaty, and I'm the chairperson of the review committee
16 for this application. I am also one of the product reviewers
17 evaluating the chemistry, manufacturing, and controls
18 information for this product.

19 Since the questions addressed to the Advisory Committee
20 are mainly related to nonclinical and clinical issues, and some
21 of the information has already been covered in the applicant's
22 presentation, the following few slides will serve as a brief
23 introduction for the product and its manufacturing process.

24 As mentioned earlier, the drug of our discussion is a
25 recombinant analog of human coagulation factor IX that is

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 covalently linked to a 40-kDa polyethylene glycol molecule via
2 its carbohydrate moiety on the protein. In this presentation,
3 the polyethylene glycol molecule will be referred to as PEG,
4 and the drug product as N9-GP.

5 As previously described by Dr. Bryan, the purpose of this
6 Advisory Committee meeting is to open a discussion based on the
7 findings of the accumulation of PEG in the choroid plexus of
8 animals that were infused repeated doses of N9-GP. The FDA is
9 seeking the opinion of the Committee regarding the significance
10 of these findings and how they may inform us about the safety
11 of the product, and that includes:

- 12 - The risks in hemophilia B population, with special
13 attention to pediatric and elderly individuals in
14 setting of lifelong administration.
- 15 - And whether monitoring, particularly of neurologic
16 function, should be provided for the safety of
17 patients or study subjects.

18 I will now provide a brief overview on factor IX,
19 hemophilia B, the currently available treatment options for
20 hemophilia B, and the N9-GP product.

21 As has already been described, hemophilia B is a
22 congenital bleeding disorder. Bleeding episodes are treated or
23 controlled by intravenous infusion of factor IX-containing
24 products, and the most serious complication in management of
25 hemophilia B is the development of neutralizing antibody

1 against factor IX.

2 As indicated earlier, factor IX is synthesized as a single
3 polypeptide with extensive co-translational and post-
4 translational modifications. The zymogen is activated by
5 proteolytic cleavage to activated factor IX. Activated factor
6 IX then contributes to the conversion of factor X to its active
7 form, Xa.

8 The manufacturing process has been well described in the
9 applicant's briefing document. In brief, the applicant's
10 recombinant factor IX is expressed in Chinese hamster ovary
11 cell line. The protein is purified using traditional
12 manufacturing methods. A 40-kDa PEG moiety is enzymatically
13 attached to recombinant factor IX. This PEG-protein complex is
14 further processed into the final drug product.

15 This table summarizes the products that are currently
16 available to treat hemophilia B. In particular, please note
17 the two licensed long-acting factor IX fusion protein products
18 which allow people with hemophilia B to be infused once a week
19 or less often. This is comparable to the applicant's proposed
20 dosing regimen for N9-GP.

21 This concludes my brief introduction. Thank you. And
22 Dr. Robinson-Zeigler will now give an overview of the
23 nonclinical issues.

24 DR. ROBINSON-ZEIGLER: Thank you, Dr. Kimchi-Sarfaty.

25 Good morning, my name is Becky Robinson-Zeigler. I am the

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 Chief of the Pharmacology/Toxicology Branch II. Our branch was
2 responsible for review of the nonclinical data in this BLA. I
3 will provide a more detailed overview of the nonclinical
4 program for the product N9-GP.

5 The nonclinical program for N9-GP consisted of multiple
6 activity and safety studies. Safety pharmacology studies were
7 conducted in mouse and dog models of hemophilia and in healthy
8 monkeys. Pharmacokinetic studies included general PK and ADME
9 studies. Autoradiography studies were conducted to determine
10 the distribution of N9-GP and the 40-kDa PEG moiety. Local
11 tolerance studies were also conducted in healthy rabbits.

12 For their definitive nonclinical safety studies, the
13 applicant conducted single and repeat-dose toxicity studies in
14 healthy rats and monkeys and in immune-deficient nude rats.
15 These definitive nonclinical safety studies evaluated the
16 proposed prophylactic clinical dose level of 40 international
17 units, IU, per kilogram and dose levels ranging from 5-fold to
18 almost 100-fold higher than the clinical dose. Finally, the
19 applicant conducted a second set of studies evaluating the
20 toxicity of 40-kDa PEG alone in healthy monkeys and rats.

21 All studies administered N9-GP using the intravenous route
22 of administration, which is also the proposed clinical route of
23 administration.

24 I will now focus on the toxicity studies, and specifically
25 the findings of accumulation of PEG in animals administered

1 N9-GP. For clarity, we have defined accumulation of PEG as
2 presence of PEG in tissue samples as determined by
3 immunohistochemistry staining and described as such in the
4 pathology test reports included in the BLA.

5 The following tables summarize the general study design
6 and findings for the N9-GP toxicity studies. These tables also
7 summarize the findings related to accumulation of PEG, as
8 determined by immunohistochemistry.

9 A single-dose toxicity study was conducted in healthy rats
10 at dose levels ranging from 200 to 2,000 IU, which are 5- to
11 50-fold higher than the proposed clinical dose level of 40 IU.
12 No systemic toxicities were observed in these animals, and
13 accumulation of PEG was not evaluated.

14 In study number 2, healthy monkeys were administered dose
15 levels of N9-GP ranging from 350 to 3,750 IU, which are
16 approximately 9- to almost 100-fold higher than the proposed
17 clinical dose level of 40 IU. This was a repeat-dose study in
18 which animals were administered N9-GP weekly for up to 4 weeks,
19 followed by a 5-week recovery period in which animals did not
20 receive N9-GP.

21 The majority of animals in each dose group were sacrificed
22 at the end of the 4-week administration period, the terminal
23 sacrifice. Tissues from various organs in these animals were
24 collected and histologically evaluated. Following the recovery
25 period, animals from each dose group were sacrificed, and

1 tissues from various organs were histologically evaluated.

2 In animals sacrificed before the recovery period, at all
3 dose levels accumulation of PEG was observed in the connective
4 tissue and epithelial cells of the choroid plexus. PEG was
5 also found in the blood in brain blood vessels and in skeletal
6 muscle blood vessels. In animals sacrificed after the 5-week
7 recovery period, accumulation of PEG was not observed.

8 An additional longer study, study number 3, was conducted
9 in healthy monkeys. In this study, monkeys were administered
10 200 IU of N9-GP weekly for 13 weeks, followed by a 5-week
11 recovery period. This dose level is fivefold higher than the
12 proposed clinical dose level of 40 IU. Unlike study number 2,
13 in healthy monkeys, accumulation of PEG was not observed in any
14 animals.

15 Study number 4 was a short-term study in healthy rats.
16 Animals were administered 25 IU or 250 IU weekly for 2 weeks.
17 No systemic toxicities were observed in these animals. An
18 accumulation of PEG was not evaluated.

19 Interpretation of the data in the previous studies
20 conducted in healthy animals may have been confounded by the
21 development of neutralizing antibodies to N9-GP. In addition,
22 development of neutralizing antibodies to N9-GP limits the
23 duration of the study. Therefore, in order to conduct
24 longer-term studies in animals without the development of
25 neutralizing antibodies, the applicant conducted two studies in

1 immune-deficient nude rats.

2 In study number 5, 40 IU and 1,200 IU of N9-GP was
3 administered twice weekly for 6 weeks, followed by a 2-week
4 recovery period. This study evaluated the proposed clinical
5 dose level, 40 IU, as well as a dose level 30-fold higher.
6 However, unlike the other studies, this dosing regimen was
7 different from the proposed clinical dosing regimen of once
8 weekly administration.

9 Following the recovery period, animals were sacrificed,
10 and tissues from various organs were histologically evaluated.
11 Accumulation of PEG was not observed in animals administered
12 the lower dose of 40 IU. However, in animals that received
13 1,200 IU of N9-GP, accumulation of PEG was observed in the
14 connective tissue and epithelial cells of the choroid plexus.
15 PEG was also observed in the blood in brain blood vessels.

16 In the final toxicity study, study number 6, 40 IU to
17 1,200 IU of N9-GP was administered once weekly for 26 weeks,
18 after which animals were sacrificed, and tissues from various
19 organs were histologically evaluated. A separate group of
20 animals were administered the high dose level of 1,200 IU once
21 weekly for 26 weeks, followed by a 26-week recovery period.
22 Following the recovery period, these animals were sacrificed,
23 and tissues from various organs were histologically evaluated.

24 Accumulation of PEG in the connective tissue and
25 epithelial cells of the choroid plexus was observed at all dose

1 levels in animals dosed for 26 weeks with no recovery period.
2 These animals also had accumulation of PEG in the blood in
3 brain blood vessels, mesenteric lymph nodes, and spleen. For
4 the group administered 1,200 IU with a 26-week recovery period,
5 PEG accumulation was only noted in the epithelial cells of the
6 choroid plexus.

7 To summarize, the two major toxicity findings from the
8 studies where N9-GP was administered to animals were
9 vacuolation and PEG accumulation. Although not discussed in
10 this presentation in detail, vacuolation was observed in
11 various organs such as the liver, spleen, and mesenteric lymph
12 nodes of control animals and animals administered N9-GP.

13 Based on the independent pathology reports provided in the
14 submission, vacuolation did not appear to be dose or time
15 dependent.

16 The most consistent toxicity findings in animals
17 administered N9-GP was accumulation of PEG in the choroid
18 plexus. Upon further histological examination, PEG was present
19 in vesicles and vacuoles.

20 The studies discussed in the previous slides evaluated
21 toxicity of the product N9-GP in animals. I will now discuss
22 the studies evaluating the toxicity of the 40-kDa PEG moiety
23 alone.

24 Two studies were conducted to evaluate the toxicity of
25 repeat administration of high dose levels of 40-kDa PEG.

1 In the first study, healthy monkeys were administered 7 or
2 45 mg/kg/week of PEG for 2, 6, or 13 weeks. The amount of PEG
3 administered to these animals would be equivalent to
4 approximately 1,300 IU and 8,400 IU, respectively, of N9-GP.
5 These represent approximately 32 times to 210 times the
6 proposed clinical dose of 40 IU.

7 Vacuolation and PEG accumulation were not noted in animals
8 administered the lower dose of 7 mg; however, both vacuolation
9 and PEG accumulation were noted in the ependymal cells of the
10 choroid plexus in animals administered 45 mg/kg/week for 6
11 weeks. However, no systemic toxicities were reported in the
12 animals in either group.

13 In the final PEG-only toxicity study, healthy rats were
14 administered 45 or 117 mg/kg/week of PEG for either 2 or 6
15 weeks. The amount of PEG administered to these animals would
16 be equivalent to approximately 8,400 IU and 22,000 IU,
17 respectively, of N9-GP. These represent approximately 200 to
18 550 times the proposed clinical dose level of 40 IU.

19 Vacuolation was noted in the brain, spleen, lymph nodes,
20 and liver in animals administered these dose levels for 6
21 weeks, and based on statements in the final pathology report,
22 accumulation was inferred to be also noted in the same tissues
23 in animals administered these dose levels for 6 weeks.

24 Unlike the previous study in monkeys which showed no signs
25 of clinical abnormalities, all animals in this study exhibited

1 a decrease in food consumption and body weight in a dose-
2 dependent manner.

3 To conclude, accumulation of PEG in the choroid plexus
4 following administration of N9-GP was the most consistent
5 pathologic finding. Although these findings were present, no
6 clinical abnormalities related to N9-GP were reported.

7 Since the nude rat studies were not designed to assess
8 neurologic function, the significance of the absence of
9 neurologic abnormalities is unclear. Furthermore, the
10 mechanism by which PEG is cleared or removed from the choroid
11 plexus remains unknown. One possibility is that PEG is
12 excreted from the cells in the choroid plexus and into the
13 cerebrospinal fluid, or CSF. CSF samples were collected from
14 recovery animals in study number 6; however, these samples were
15 not analyzed.

16 The findings of accumulation of PEG in the choroid plexus
17 raises potential safety issues that cannot be addressed in
18 animal studies for the following reasons:

- 19 - Studies can be conducted in monkeys; however, due
20 to the development of cross-reacting, neutralizing
21 antibodies to N9-GP, longer-term studies are not
22 possible.
- 23 - As the data demonstrate, longer-term studies can be
24 conducted in immune-deficient rodents; however, there
25 is a limited ability to assess neurocognitive

1 function in these animals.

2 - Finally, there is no clinical biomarker to assess
3 choroid plexus function.

4 Now Dr. Kaushal will provide an overview of the clinical
5 data.

6 DR. KAUSHAL: Good morning. I am Megha Kaushal, the
7 clinical reviewer for this BLA, and I will provide a clinical
8 overview of this application.

9 The applicant submitted this original BLA seeking approval
10 for the following indications for adults and children. First,
11 the control and prevention of acute bleeding with a dose of 80
12 IU/kg for major bleeds and 40 IU/kg for minor bleeds with a
13 maximum dose of 200 IU/kg per 24 hour period; (2) for
14 perioperative management: 80 IU/kg for major surgery and 40
15 IU/kg for minor surgery with repeat dosing of 40 IU/kg to
16 achieve factor IX levels of greater than 50%; and third, for
17 routine prophylaxis: 40 IU/kg once weekly.

18 A description of each of the Phase III studies is
19 presented here, which were conducted to support the indications
20 previously stated. These studies included previously treated
21 children, adolescents, and adults.

22 Trial 3747 was a single-blind randomized study which
23 included both adolescents and adults. Subjects were randomized
24 to either 10 IU/kg or 40 IU/kg doses for routine prophylaxis.
25 The 15 subjects who received on-demand treatment were not part

1 of the randomization.

2 Trial 3774 was an open-label study which included
3 pediatric subjects less than 12 years of age. Subjects were
4 dosed with 40 IU/kg for routine prophylaxis.

5 Trial 3773 was an open-label study which included
6 adolescents and adults undergoing surgery. Subjects were dosed
7 with 80 IU/kg preoperatively. Postoperatively, the subjects
8 were treated with injections of 40 IU/kg.

9 Trial 3775 was an open-label extension study. The
10 majority of subjects were dosed with 10 IU/kg or 40 IU/kg,
11 whereas two subjects were dosed with 80 IU/kg and five subjects
12 with on-demand treatment.

13 Some subjects transitioned from Study 3747 to extension
14 Study 3775. In addition, subjects who were in Study 3747 or
15 the extension study were permitted to transfer to the surgery
16 study. Once a subject completed the surgery study, the subject
17 could then transition back to Study 3775. A total of 105
18 adult, adolescent, and pediatric subjects were treated with
19 N9-GP in Studies 3773, 3774, 3775, and 3747.

20 Safety monitoring included clinical evaluations, such as
21 vital signs, physical exams, adverse events, and laboratory
22 assessments. Physical examinations were performed 2 to 8 weeks
23 prior to Week 0, at Week 0, then at 28 weeks, 52 weeks, and at
24 follow-up 4 weeks after the 52-week period. Adverse events
25 were evaluated at each study visit, which occurred every 4

1 weeks up to Week 12 and then every 8 weeks until the end of
2 study at 52 weeks. A final evaluation occurred at follow-up 4
3 weeks after the 52-week period. Laboratory assessments were
4 evaluated on the same schedule as the adverse event
5 assessments.

6 The initial study visit included a general physical exam
7 of each organ system, including the neurologic system.
8 However, there was no pre-specified structured exam that was to
9 be performed by each investigator. The protocol and case
10 report forms did not specify any detailed neurologic or
11 neurocognitive evaluations. At this initial visit, laboratory
12 evaluations were also performed, including labs to assess renal
13 function.

14 At subsequent visits, the investigator was to identify and
15 judge any new findings to be an undesirable adverse event.
16 These did not include neurologic or cognitive evaluations.

17 Exposures for subjects by age group are listed here. As
18 previously noted by the applicant, some subjects were exposed
19 to N9-GP for more than 3 years with a maximum of 4 years in
20 some cases. However, there were only 12 subjects less than 6
21 years of age and only two subjects over the of age 60.

22 The effectiveness of this product is not a primary issue
23 for consideration of this Advisory Committee. However, the
24 applicant has discussed trough levels of up to 40%, but we
25 would like to highlight that despite 40% trough levels, acute

1 bleeding still occurred, as illustrated by the annualized
2 bleeding rates, or ABRs, in each of the studies at the 40 IU/kg
3 dose.

4 Thirteen surgeries were performed in 13 subjects. Control
5 of bleeding was judged to be excellent or good in all 13
6 surgeries.

7 Moving on to the safety findings, there were no
8 neutralizing antibodies reported in any subject. A total of
9 647 adverse events occurred in 98 subjects. The most common
10 adverse events were related to upper respiratory symptoms and
11 contusion and were not serious.

12 Due to the issues raised in the nonclinical findings, we
13 focused on nervous system adverse events. There were 29
14 nervous system adverse events in 16 subjects. These neurologic
15 events included headache, dizziness, sciatic neuralgia, tongue
16 biting, and a speech disorder. All of these events were
17 transient and not serious. As stated, neurocognitive
18 assessments were not part of the pre-specified monitoring plan,
19 and it is unclear whether subtle neurologic adverse events
20 would have been detected.

21 In this slide, I will summarize the unresolved issues.
22 The nonclinical studies found PEG accumulation in the choroid
23 plexus following repeat dosing with N9-GP. The clinical trials
24 did not find any safety signal that was clearly likely to be
25 caused by PEG accumulation. However, it is unclear whether the

1 clinical monitoring of neurologic or cognitive function was
2 adequate to detect all clinically important neurologic signs or
3 symptoms.

4 In addition, it is unclear whether the size of the safety
5 database, including the number of adult or pediatric subjects
6 exposed to the product and the duration of follow-up of those
7 subjects, is sufficient to assess the safety of the product.

8 The FDA seeks the opinions of this Advisory Committee
9 regarding the preclinical findings of PEG accumulation in the
10 choroid plexus. Of interest to the FDA is the Committee's
11 assessment regarding safety in the intended population,
12 particularly in the pediatric and elderly populations, and in
13 the setting of chronic administration. FDA is also seeking the
14 Committee and asking the Committee to consider whether
15 monitoring, specifically of neurologic function, should be
16 provided for the safety of patients or study subjects.

17 In addition, we are asking whether additional data are
18 necessary regarding the issue of PEG accumulation in the
19 choroid plexus.

20 Thank you.

21 DR. STOWELL: We are running uncharacteristically ahead of
22 schedule, which is good. I wonder if what we should do at this
23 point is to take our 15-minute break and then come back and
24 resume the meeting. We'll be about 15 minutes ahead of
25 ourselves at that point, but I think that's fine. And after

1 the break, at that point we'll entertain questions for the
2 presenters from Novo Nordisk and also from the FDA. So 15
3 minutes from now will be just about 10:20.

4 (Off the record at 10:01 a.m.)

5 (On the record at 10:19 a.m.)

6 DR. STOWELL: Hello, again. I'd like to get started. So
7 we have a bit of time now to pose questions to the presenters
8 from Novo Nordisk and also to FDA. So if you have got
9 questions, please speak up and raise your hand, and I'll
10 recognize you, pose your question, and then the respondents
11 will determine who's the best person to speak and show up. So
12 it looks like Dr. Basavaraju.

13 DR. BASAVARAJU: Yes. So I had a question for FDA as to
14 whether there's other drugs that are this PEG that also
15 accumulate in the choroid plexus and, if so, if there's any
16 known implications of that.

17 DR. STOWELL: And if the respondent from FDA would please
18 come to the microphone, and also, if you would restate your
19 name for the purpose of the transcriber.

20 DR. BRYAN: Yes, this is Wilson Bryan.

21 There are many other PEGylated products on the market, and
22 these products differ in a variety of ways. They have
23 different molecular weights, they have different
24 pharmacokinetics, route of administration and duration of
25 administration, and we really don't think that getting into a

1 discussion of these other PEGylated products which are of
2 questionable relevance to the Novo Nordisk product would be
3 useful for today's discussion. Some of this information that
4 you're asking for may be proprietary to these other products
5 and really couldn't be discussed today, so we don't think that
6 we can have a fair and balanced discussion of that. I
7 appreciate that folks are interested in it, and we will
8 consider these things in the context of the BLA review, but I
9 really don't want to go there for today's discussion.

10 DR. PACKER: Hello, Roger Packer.

11 For the company: I'm struck with only a little over 20
12 children, and about 10 less than 12 actually have been treated
13 with the drug. Could you give me some idea of the specifics of
14 if the drug is approved, what the monitoring would be for
15 neurologic and neurocognitive issues in these patients moving
16 forward? What exactly would you monitor, and how would that
17 monitoring be supported financially?

18 DR. SEREMETIS: So just to clarify, there were 25 children
19 between the ages of 0 and 12 enrolled in the study, and these
20 were sequestered by age group 0 to 6 and 7 to 12, so there were
21 12 and 13 respectively in each age group. These children have
22 been --

23 DR. PACKER: Let me clarify.

24 DR. SEREMETIS: Sure.

25 DR. PACKER: But there weren't that many that were

1 followed for greater than 2 to 3 years. I mean, some are still
2 early in your follow-up period.

3 DR. SEREMETIS: In fact, all of them have been followed
4 for more than 2½ years.

5 DR. PACKER: Okay.

6 DR. SEREMETIS: What we're describing is the tail of the
7 earlier enrolled patients. There are eight patients who have
8 now been followed up to 4½ years, so the follow-up continues
9 and will continue. That's the plan. The monitoring, to date,
10 has relied upon the monitoring that is central to the
11 hemophilia treatment network, the comprehensive care that is
12 delivered in the context of hemophilia care, and to describe
13 the nature of monitoring in the context of hemophilia care, I'm
14 going to ask Dr. Manny Carcao to come to the microphone.

15 DR. CARCAO: Thank you, my name is Manuel Carcao. I'm a
16 pediatric hematologist -- thank you -- at the Hospital for Sick
17 Children in Toronto, Canada, where I look after the hemophilia
18 program there and have been doing so for 19 years. I look
19 after 500 children with bleeding disorders, of which 180 have
20 hemophilia but only actually 26 have hemophilia B. So
21 hemophilia B is a rare disorder, and it does cause studies to
22 have a relatively low number of patients.

23 As you heard, there have been 25 children treated on the
24 study; 4 of those were in my institution. Those four children
25 started receiving the product in June of 2012, so my children

1 have actually been on this product for the longest, and they're
2 actually quite approaching 5 years. They've been monitored
3 very closely, as all children are, through hemophilia
4 comprehensive centers, so they see me every 3 months. They
5 also see my nurse, they see my social worker, they see my
6 physical therapist.

7 And in addition to us, they are also being monitored by
8 their own pediatrician, who they see for routine pediatric
9 care, and of course, these are all children who are going to
10 school, and so they're also being monitored, to a certain
11 extent, by their teachers.

12 And so during this time period, we've been able to assess
13 their development and also look for neurological signs or
14 symptoms, and we have found nothing. There's been no signal in
15 any of these children, they are doing extremely well, so I'm
16 very confident in this product.

17 DR. PACKER: I'm sorry, that wasn't the question. My
18 question was what if this drug is approved, will the post-
19 monitoring follow-up of these children be -- will it be
20 formalized? Will it be individual for each center or each
21 patient on it? That's what I was asking.

22 DR. SEREMETIS: So the follow-up will be as it has been in
23 the context of the clinical development program, relying on the
24 comprehensiveness of the overview of the patient within the
25 hemophilia treatment center with no specifics dictated. Of

1 course, we are currently in discussion with the FDA with regard
2 to the elements of a post-approval safety study, and those
3 could inform any post-approval commitments.

4 DR. SHAPIRO: Yeah, I had a question about that breakdown
5 of the ages of the children. So I believe the documents
6 indicated that there were 12 in the 0 to 6 age range, but how
7 many of those were 0 to 2, and how many were 2 to 6? I think
8 that may help inform our discussion later about the potential
9 safety issues in children who are very young.

10 DR. SEREMETIS: Could I have a breakdown of the ages? As
11 you can imagine, I mean, there were 13 patients total to
12 distribute over the years, and children qualify as previously
13 treated patients once they have had 50 doses of drug, so there
14 would be some time frame. So we're talking probably a year to
15 two, but let's see. Do we have the data available? If we
16 don't have it immediately available, I can provide it to you
17 after the lunch break.

18 DR. SHAPIRO: Yeah, thank you. I guess a broader question
19 is what are the indications for treatment in children less than
20 2 years old?

21 DR. SEREMETIS: So we found the data for you, sorry. So
22 we have -- no. Okay, so we have one patient below the age of 6
23 months at enrollment and then -- no, I'm sorry. No, this is
24 not what he's asking, so we're going to come back to you with
25 this after the lunch break. And please ask your question, I'm

1 sorry. Indication for patients --

2 DR. SHAPIRO: Yeah, what would be the reason for a
3 treatment in patients less than 2 years of age?

4 DR. SEREMETIS: As any child with hemophilia would be,
5 it's important to prevent bleeding, so prophylaxis of bleeding
6 would be an indication in these patients, also treatment of
7 bleeding, also if there were any anticipated surgery. But to
8 comment on the application of the drug in children, I'm going
9 to ask Dr. Carcao to come to the microphone.

10 DR. CARCAO: Thank you. So Manuel Carcao, for the record.

11 So yes, children with severe hemophilia B will start to
12 experience bleeds generally between 6 and 12 months of age, so
13 there is a need for a drug that particularly lasts a long time,
14 and these children can certainly receive it.

15 I will also add that you may have seen in the presentation
16 that there are 17 what are called PUPs; these are previously
17 untreated patients. They tend to be very young patients who
18 are already receiving this product, and those children would,
19 in general, be under 1 or 2 years of age. So there are quite a
20 number of children already on this product who are less than 2
21 years of age, as per your question.

22 DR. STOWELL: I think Dr. Onyike had --

23 DR. ONYIKE: Yes. I wonder if you have any data on
24 multiple cognitive milestones in the children and also whether
25 you have any data on school performance.

1 DR. SEREMETIS: These would normally be recorded within
2 the construct of the hemophilia treatment center. We would
3 have reported any deviations from these in the case reporting
4 and as part of the physical examination that is recorded
5 regularly.

6 DR. ONYIKE: Sorry, I was specifically referring to
7 whether you've had any -- whether you've analyzed the data.

8 DR. SEREMETIS: We have not analyzed in detail any
9 milestone data, no.

10 DR. BAKER: Thank you. I was curious to see if you had
11 any data you could show us on the number of U.S. hemophilia
12 treatment centers that were involved in the studies, the cities
13 in which they were located, the number of patients total in the
14 U.S., and a breakdown of the race/ethnicity of those U.S.
15 patients.

16 DR. SEREMETIS: So I don't have the data immediately
17 available at the treatment center level, but we can certainly
18 provide that for you after the lunch break.

19 With regard to the number of patients represented from the
20 U.S. in the overall development program, it's summarized here.
21 So a quarter of the patients between 0 and 6, about a half
22 between 7 and 12, about a half of the adolescents and roughly a
23 third of the patients -- or a quarter of the patients who are
24 between the ages of 18 and 65 were enrolled from the U.S. With
25 regard to the specifics of treatment centers in cities, we can

1 get that for you after the break.

2 DR. BAKER: And the race/ethnicity as well?

3 DR. SEREMETIS: Yes.

4 DR. BAKER: Thank you.

5 DR. DOBBS: Can you go to slide 74, please? Thank you.

6 That was fast. Questions here about the neurological function
7 or symptoms, I got a couple questions about it. One, is this
8 what is being proposed as the monitoring for a pass, really?

9 DR. SEREMETIS: So just to clarify, this is one of four
10 elements of our post-approval plan. The post-approval safety
11 study is a separate and distinct entity that will have -- that
12 we can detail for you, if you wish.

13 This is a summary of specific events in terms that will be
14 followed up specifically with queries and particular
15 questionnaires around the details of the presentation of
16 seizure, severe non-resolving headaches. This will be applied
17 universally to any reporting we receive in the context of any
18 of the elements of our post-approval program.

19 DR. DOBBS: So then on the last two bullets, developmental
20 delays and neurological examination findings, can you tell us
21 more about how you're defining and monitoring for developmental
22 delays and defining a neurological examination in the findings?

23 DR. SEREMETIS: So we can certainly go into, again, the
24 detail of what is done in the hemophilia treatment centers to
25 evaluate. These would be outputs of the evaluations done at

1 the centers. Perhaps Dr. Young can comment on this?

2 DR. YOUNG: Sure, this is Guy Young from Children's
3 Hospital, Los Angeles.

4 So in our centers, our comprehensive care hemophilia
5 treatment centers in Los Angeles, we take care of children and
6 adults in my center, so we have a multidisciplinary team which
7 includes physicians, nurse practitioners, physical therapists,
8 social workers, and a psychologist, and all are specifically
9 expert in hemophilia. In other words, all these people focus
10 their work on hemophilia; they're not doing too many other
11 things, or most of them are not doing any other things.

12 And so developmentally, our physical therapists are
13 trained in doing the motor part, of course, of the
14 developmental exam, and we do have them do those from time to
15 time if we have any concerns whatsoever. We have social
16 workers and psychologists that are attuned to cognitive issues.
17 And of course, as physicians, I'm a pediatrician as well by
18 training and a pediatric hematologist/oncologist, so of course,
19 I'm aware of the motor milestones, cognitive milestones that we
20 have to find in children, and we do evaluate children with
21 severe hemophilia every 6 months in the clinic, which is just
22 routine.

23 And I think with that multidisciplinary team, I think we
24 have the tools to be able to pick up clearly any obvious
25 finding, and I think we also have the tools to pick up subtle

1 findings. And if there are any subtle findings or any
2 concerns, we have at our disposal, in our center and in most of
3 the hemophilia treatment centers that take care of children,
4 pediatric neurologists as well that we can consult with if
5 necessary.

6 DR. SEREMETIS: Perhaps to give you a better flavor for
7 what goes on in the hemophilia world, I'm going to ask
8 Dr. Young to come back to the microphone and talk about the
9 importance that maintaining normal quality of life has played
10 in hemophilia monitoring over the years and the importance of
11 maintaining the capacity to continue with school and work and
12 that the community is extremely sensitive to this.

13 DR. YOUNG: Yes, certainly. I think that whenever I see a
14 new patient with hemophilia, which we get about one a month in
15 my center -- not hemophilia B, hemophilia overall. We get
16 maybe one or two with hemophilia B because it's so rare. You
17 know, parents always ask, you know, what's going to happen to
18 my child, is my child going to be normal, and my reply to them
19 is always -- we always have this discussion that our goal is
20 for you to lead a normal life. They will need to receive
21 factor infusions, but the goal is for them to lead a normal
22 life, to go to school, to be able to participate in sports, to
23 really be like any other kid other than receiving the factor
24 infusion. So our aim is for normalcy and a normal full quality
25 of life.

1 And, you know, one brief anecdote is -- you know, one mom
2 sent me a picture of her son, who she was told when he was born
3 that he could never do any kind of physical activity, and at
4 the age of 7, she sent me a picture with him and his athletic
5 coach showing me that he was the top athlete in his class, and
6 she said, you know, I never thought I would see this happen.
7 But with modern hemophilia treatment, that's what we want, is
8 for him to be not just cognitively normal, developmentally
9 normal, but to live a normal life and to be able to be the top
10 athlete in his class. So quality of life is very, very
11 important to us, and we strive for absolute normalcy.

12 DR. STOWELL: Mr. Templin.

13 MR. TEMPLIN: So I'm correctly hearing that the safety of
14 this issue will be followed up through the HTC system; is that
15 correct?

16 DR. SEREMETIS: That's correct.

17 MR. TEMPLIN: Well, I got some ground-shaking news. Not
18 every patient with hemophilia goes to a hemophilia treatment
19 center; not every hemophilia treatment center can be equally
20 compared to another hemophilia treatment center, even in the
21 same state.

22 I go to one hemophilia treatment center, my daughter goes
23 to another hemophilia treatment center, and that was because
24 they chose not to take care of my daughter the way the other
25 hemophilia treatment center would.

1 So I almost think any kind of follow-up should go with the
2 drug because the drug may be prescribed by a non-hemophilia
3 treatment center physician, and all safety information should
4 be sent along with the drug to that physician because I think
5 there's an estimate, 30% of patients with hemophilia don't go
6 to an HTC for various reasons: they're too far away, they don't
7 trust the doctor, past history, grievances, or whatever. I
8 think that's a big issue, and I'm sorry if I offended anybody,
9 but that's just my opinion.

10 DR. STOWELL: Dr. Onyike.

11 DR. ONYIKE: Yes, this is for Dr. Young. You had spoken
12 about function and normal life, but it's not clear how you
13 measure that, and it's striking when you have a striking
14 anecdote, but otherwise, as you know, in these things we have
15 variation. So how do you measure this? That's one thing.

16 Now, the other thing is I'm thinking to myself about
17 micro-hemorrhages and I'm thinking perhaps in the brain. Is it
18 typical to monitor with MRI scans, for example? So two
19 questions in one, sorry.

20 DR. YOUNG: Yeah. No, let me answer the second question
21 because that's a simple answer. The answer is no, there are no
22 routine MRI examinations done in children, or in adults for
23 that matter with MRIs. That would require a clinical
24 indication to be asked that.

25 I mean, as far as monitoring for, you know, normalcy or

1 normal quality of life, there are settings in which we do
2 quality of life studies, but in many situations it's really
3 sort of the gestalt of talking to the parents or talking to the
4 patient and asking them the appropriate questions: Are you
5 advancing in school? Our social workers are very attuned to
6 their school performance. Are they advancing in school? Are
7 they getting good grades? Is there any issue with them not
8 advancing in school?

9 Now, of course, it may not be related to hemophilia, but
10 any such thing that happens with our patients, we get
11 immediately concerned. If somebody's school performance drops
12 off, that probably would warrant an MRI, looking for
13 microscopic hemorrhages and things like that.

14 So it's an overall sort of clinical gestalt, but with our
15 multidisciplinary comprehensive care team, I think we're very
16 attuned to picking up any kind of changes. So we ask, like I
17 said, school performance, we have psychologists that literally
18 see every single patient and make sure that they're
19 psychologically doing okay. We have physical therapists who
20 examine every patient. So it's the multidisciplinary care team
21 and their overall gestalt that sort of tells us they're doing
22 well.

23 DR. ONYIKE: So if I may just quickly follow up. I have
24 here a stack of, you know, notes that are anecdotes from
25 families, and one can imagine that people who are enthusiastic

1 about the treatment will write favorably.

2 But as Mr. Templin pointed out, you know, reactions to any
3 treatment or any clinical interaction can vary, and you're not
4 necessarily hearing from everyone, and the antidote to that
5 really is systematic monitoring.

6 And I believe school performance, for example, can be
7 monitored through school records. But what I'm suggesting is
8 that these things can be quantified, and there should be
9 consideration to doing so.

10 DR. STOWELL: Dr. Packer.

11 DR. PACKER: Yes, just as a follow-up, since I started
12 this frenzy in some ways, the issue on following up patients,
13 there are ways to systematically follow up patients. They
14 don't have to be MRI scans. There are validated ways to follow
15 development, validated ways to follow quality of life. And I
16 do think that if there's been any experience gained from the
17 past 30 to 40 years of watching these things, unless you
18 systematically build those in, you can miss some very important
19 data. And if this is a critical issue to the safety of the
20 drug, it would be nice to have the, at least, reassurance that
21 there's some thought being put in, except to keep saying that
22 we follow them to the clinics, that we'll really be able to
23 gather the data if this is going to be an issue.

24 It may not be an issue. The reason I ask it is what is
25 the systematic plan for follow-up, rather than self-reporting

1 from a center, and especially since a majority of patients will
2 be treated at different centers, that they be all across the
3 world with different capabilities, and some will never see the
4 center. That was the question.

5 DR. SEREMETIS: So as we've alluded to and introduced in
6 our earlier presentation, we do plan an extensive post-approval
7 program to follow up. We will continue actually monitoring
8 patients who are already in our clinical trials. These are
9 very closely monitored patients. And as was alluded to by
10 Dr. Carcao, not only will we have the 19 patients we continue
11 to follow who are in the pediatric age who were enrolled 3 to 4
12 years ago, but we have an additional cohort of patients being
13 enrolled right now who are previously untreated patients.
14 These are quite young, and we expect to follow them, to
15 continue to follow them.

16 We will also develop a post-approval safety study. We can
17 discuss the details that could be included in that, but -- and
18 we can certainly review that. And we will also work with the
19 hemophilia community in general, which casts a quite wide net
20 with international and national registries to capture safety
21 data with regard to the use of all products that are currently
22 being used in hemophilia. And then we talked about the queries
23 we will make of any spontaneous adverse reporting.

24 If you want more detail than that, I'm going to ask for
25 our safety officer, Dr. Mette Simonsen, to come to the

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 microphone. Or are you okay with where we are with this?

2 DR. PACKER: I'd really love to hear the details.

3 DR. SIMONSEN: Mette Simonsen, clinical safety specialist.

4 So as mentioned -- well, we are discussing here a
5 theoretical risk where we don't really know what the signs and
6 symptoms would be. We've not seen anything in our clinical
7 data. It was confirmed by a retrospective analysis where we
8 actually looked into nervous system disorders and psychiatric
9 disorders. This focus we will continue to have also in the
10 postmarketing setting, so everything that is reported to us
11 will focus on the nervous system and psychiatric disorders.

12 We'll get data from a number of different sources, as
13 mentioned here, so we'll have the ongoing clinical trials, the
14 tests, and the registries. And we will further substantiate
15 this by when we get an event, for example, a seizure or a
16 headache, we will have these specific follow-up questions where
17 we'll collect as many details as possible that will support us
18 then in the signal management process so that we will be able
19 to detect a new signal.

20 DR. STOWELL: Dr. Manuelidis.

21 DR. MANUELIDIS: So I would like to follow up a little bit
22 on this age question because you mentioned what is the -- well,
23 what is the reason for treating children who, let's say -- I
24 would say even less than 4 years old and especially less than 2
25 years old, given the fact that the PEGylation does not really

1 come anywhere close to keeping the half-life of the factor IX
2 intact. So if I looked at the data, it seems to say it's about
3 2.3 or 2.5 days as opposed to 6 days in adult. So that
4 immediately means that you have to give -- you have a gap of at
5 least 3 to 4 days where the infant does not have the same kind
6 of adequate level of factor IX.

7 So you have really a high risk also in the developing
8 nervous system. Many things are happening in the first 4 years
9 of life, especially the first two. I mean, you still have
10 cerebellum isn't developed, you have the choroid plexus, which
11 has something to do with T4, thyroxine excretion, and those are
12 very important for things like migration of the external
13 granule cells. There's still mitoses going on in the
14 hippocampus. So can you justify treating children at a really
15 early age?

16 DR. SEREMETIS: So, in general, it's extremely important
17 to maintain as close as possible to normal levels in every
18 patient with hemophilia. Given that it is possible to do so
19 with an extended half-life product, N9-GP, and given that the
20 levels you -- as you described, yes, we don't approximate the
21 normal range with children because clearance is higher in
22 children, but the factor levels that are obtained are
23 considerably higher than those available in the context of
24 treatment with any other product, including the other extended
25 half-life product.

1 So this is a very vulnerable age group, as you described,
2 in general. It's very vulnerable to bleeding and the
3 consequences of bleeding as well, so it's important that those
4 children be maintained as high as possible with regard to the
5 factor IX levels. And to comment on the importance of that and
6 the really relevance of treating the younger patient
7 population, I'm going to ask Dr. Carcao to come to the
8 microphone.

9 DR. CARCAO: Thank you. I'll also bring up the slide. So
10 I would like to have the audience recognize that the patients
11 who would benefit the most from this product are the youngest
12 children. The reason is that this product, as you can see
13 here, this is with once-a-week administration, so every 7 days,
14 maintains these very young children with a factor IX of above
15 15% throughout the entire course of the week.

16 And in comparison to previously available products prior
17 to this, those products would require dosing not once a week,
18 but two or three times a week, and despite that would only
19 maintain levels above 2 or 3%. So with this product, children
20 are getting one injection per week, and every injection is
21 painful, and every injection is anxiety provoking for them and
22 for their parents. So this now allows them to do it only once
23 a week rather than two or three times a week, maintaining much
24 higher levels, and as you heard, they're not really bleeding.

25 And if I could have slide 81, please. I just wanted to

1 show here that in slide 81 you'll notice -- oh, sorry. You'll
2 notice that 83% of the youngest children, over a course of
3 1 year, experience no bleeds with this regimen of once a week
4 rather than previous products where they would get two or three
5 needles per week.

6 Thank you.

7 DR. MANUELIDIS: I think I was asking about 0 to 2 or 0 to
8 3 years, and I think it's very difficult when you look at 0 to
9 6, that you may not have as good results in the really, really
10 young children, 0 to 3.

11 DR. CARCAO: Well, I will comment that of the four
12 children that I have enrolled onto this study, one of them was
13 1½ years of age when he went onto the study. He's had no
14 bleeds the entire time that he's been on study, and he's been
15 on study now for -- in November it will be 5 years this year.
16 So they benefit actually, as I said, I think the most from this
17 product.

18 DR. LEITMAN: I have a technical question in the FDA's
19 presentation on the 40-kDa PEG-only toxicity study. I don't
20 know. Oh, slide number 15 of that presentation. The
21 presentation included data on monkeys and on rats, and it was
22 only a very high dose in a rat that it was mentioned that PEG
23 accumulation in the brain was seen. That's not mentioned in
24 any other presentation this morning. Everything else focused
25 on choroid plexus.

1 The word "brain" is just there. It doesn't say brain
2 parenchyma, it doesn't say glial cells, it doesn't say what
3 that was about. It was a very high dose, so maybe that's not
4 clinically relevant. But I was wondering where in the brain
5 the PEG was seen.

6 DR. ROBINSON-ZEIGLER: Hi, Becky Robinson-Zeigler, for the
7 record.

8 Yes. So the pathology test report that was included with
9 that complete study stated brain, but then further examination
10 in the individual animal line listings did list choroid plexus.
11 So it's brain in general, just based on general pathology, but
12 choroid plexus when they look closer.

13 DR. SEREMETIS: Perhaps Dr. Madsen wants to comment.

14 DR. MADSEN: Yes. Lars Madsen, nonclinical.

15 Maybe I could just come back to the high doses used in
16 this study. So if we could have slide PN6, please. So as you
17 correctly described, this was not with N9-GP; this was actually
18 data from using 40-kDa PEG alone to explore potential
19 toxicities of that. And as you can see, the dose overarches
20 here to the tune of 2 to 500 at the doses where there were
21 actually vacuolations seen, whereas in the study at the bottom,
22 a 13-week monkey study, there was no vacuolation seen.

23 DR. STOWELL: Dr. Shapiro.

24 DR. SHAPIRO: I guess I just wanted to clarify something.
25 So it was mentioned in the FDA presentation that in one of the

1 nonclinical studies, CSF was sampled from animals that had been
2 administered this product, but the CSF was not analyzed, so we
3 don't know whether there was increased protein content or
4 whether PEG was secreted into the CSF.

5 And I guess a question to either Novo Nordisk or to the
6 FDA is whether there's any study assessing whether PEG or
7 PEGylated products increase secretion of protein into the CSF.

8 DR. ROBINSON-ZEIGLER: Hi, Becky Robinson-Zeigler.

9 That particular test report stated that CSF was collected.
10 We further probed the applicant in terms of what happened to
11 those samples; those samples were not evaluated. But I think
12 there's another challenge in terms of what to do with those
13 samples, exactly what to look for, looking for PEG, developing
14 a method for that.

15 And in terms of availability of looking for PEG in the CSF
16 in the literature, we have not found any articles, you know,
17 that have a method for that. But that is, you know, a question
18 that we would like the Committee to think about in terms of,
19 well, how could we assess those samples, you know, if they're
20 still available?

21 Thank you.

22 DR. SEREMETIS: Dr. Madsen.

23 DR. MADSEN: Thank you, yeah. So maybe just to comment,
24 what we did look for was the presence of PEG in brain tissue,
25 and we did not detect PEG immunohistochemically in brain

1 tissue. So even though it's correct that those samples were
2 not analyzed for PEG, and it would also be very unlikely that
3 it would be detectable given at least the currently available
4 methods and the level of quantification, however, we did
5 confirm that there was no transport of PEG into the brain,
6 which I believe is at least one important concern to lay at
7 rest.

8 DR. STOWELL: I think Dr. Garman had his hand up
9 beforehand.

10 DR. GARMAN: Yes, since we're discussing the pathology
11 report, I would like to know at some point how extensively the
12 brain was sampled, numbers of sections, what neuroanatomic
13 regions. For example, were the circumventricular organs
14 examined, and were the immunostains for PEG performed on every
15 brain section or just selectively, say, for the choroid plexus?

16 DR. SEREMETIS: I'm going to ask Dr. Lars Madsen to
17 elaborate on this.

18 DR. MADSEN: Lars Madsen.

19 Could we have PN-1 to 9? So excuses for the maybe not so
20 appealing photograph, but this is a picture of where the
21 sections from the brain were taken. So it's a total of five
22 sections, including the circumventricular organs. They were
23 all examined both by histopathology as well as also by
24 immunohistochemistry, and this is on that basis that we
25 concluded there was no PEG in the brain tissue and also that

1 there was no vacuolation or any other adverse effects for that
2 matter related to PEG.

3 DR. GARMAN: How about on the monkey studies?

4 DR. MADSEN: So in the monkey studies, I will spare you
5 for any pictures, as I don't have any, but there was also more
6 samples examined. Do we have a slide showing exactly which?
7 But we can come back to you. Okay, I do have it here. So this
8 is the brain sections that were examined in monkeys in the two
9 top rows, so two sections by standard H&E, and one section,
10 including the choroid plexus, by immunohistochemistry.

11 DR. RAO: This is Deepa Rao.

12 DR. STOWELL: Speaker from the FDA.

13 DR. RAO: From the FDA.

14 So, in general, about three to five sections were
15 reviewed. There was no PEGylation accumulation within the
16 brain parenchyma. They were within the blood vessels, and the
17 animals were dosed intravenously, so that makes sense. But the
18 question is that we saw the accumulation within the choroid
19 epithelial cells, also potentially within the macrophages in
20 the choroid plexus and within the stroma and the connective
21 tissue subepithelial. So as the applicant showed in one of
22 their slides that there is pinocytosis of this material into
23 the choroid epithelial cells, so the real question is where is
24 it going after it is within the choroid epithelial cells? Is
25 it getting into the CSF, and is it getting cleared?

1 DR. GARMAN: And just as a follow-up, when you see
2 staining in the blood, are we talking about within monocytes in
3 the blood? Are we talking about free PEG?

4 DR. RAO: So within the blood vessels, and this was
5 immunohistochemistry, so the blood vessels just light up, so
6 we're not seeing clear accumulation within the individual's
7 blood cells. But there's no perivascular inflammation around
8 the blood vessels either.

9 DR. GARMAN: Just one other follow-up about the animal
10 studies. One question, I see that because of the neutralizing
11 antibodies, that these animals were not -- especially the
12 primate model was not carried out in long term; it was a
13 relatively short-term look. Is there any way to analyze, in
14 this model, long-term effects 1 to 2 years out in the primate
15 model, or is it really true that the neutralizing antibodies
16 don't allow that to happen?

17 DR. SEREMETIS: I'm going to ask Dr. Lars Madsen to walk
18 you through this.

19 DR. MADSEN: So Lars Madsen.

20 No, unfortunately, with regards to monkeys, it's not
21 possible to dose beyond -- between 2 and 4 weeks until the
22 development of antibodies induce acquired hemophilia. However,
23 for all the PEGylated products, there have been long-term
24 chronicled sub-studies with very high doses also in monkeys.
25 And the only finding beyond normal has been vacuolation;

1 there's been no toxicities observed.

2 DR. STOWELL: Dr. Onyike.

3 DR. ONYIKE: Sorry. Were there any changes in brain
4 weight in the rodents or primates?

5 DR. SEREMETIS: Dr. Madsen.

6 DR. MADSEN: No. So in terms of the extensive literature
7 on PEG, the overall and general conclusion from every review
8 paper and original -- that there is no toxicity specifically
9 associated with PEG. But to comment further on that, I would
10 like to ask our PEG expert, Dr. Jennifer Sims, to the
11 microphone.

12 DR. SIMS: Good morning. My name is Jennifer Sims. I'm
13 an independent nonclinical expert with experience in PEG safety
14 and disposition. I was also coauthor on a couple of recent
15 peer-reviewed manuscripts on these aspects. And in one of
16 these recent peer-reviewed manuscripts, we reviewed the safety
17 of PEGylated protein, so the 11 approved PEGylated proteins and
18 also, in addition, 17 PEGylated proteins that were currently in
19 clinical development.

20 And in no case we did not see any evidence of any
21 toxicity, any organ dysfunction. Even though there was
22 vacuolation observed with some of the 30 and 40-kDa PEGylated
23 proteins, it was not associated with any cellular damage or any
24 toxicity or any organ dysfunction.

25 DR. STOWELL: Maybe start with Dr. Manuelidis.

1 DR. MANUELIDIS: A very fast question. Has anyone done
2 any studies inoculating a monkey, a newborn, in the first few
3 days with these substances? And two, has anybody done any
4 studies intravenously infusing a very old monkey that has
5 probably some senile changes?

6 DR. SEREMETIS: With regard to the first, the answer is
7 no. With regard to the last question, Lars Madsen.

8 DR. MADSEN: No, not to our knowledge. You could say the
9 age span that we have covered in the animal studies that we
10 have conducted does not include very old monkeys. They're up
11 to 3 years of old -- old.

12 DR. STOWELL: Dr. Lerner.

13 DR. LERNER: Couldn't you just look at the PEG molecule
14 itself in the monkeys rather than have it with the factor IX?

15 DR. SEREMETIS: Dr. Madsen.

16 DR. LERNER: Because you would avoid the immunogenicity
17 supposedly.

18 DR. MADSEN: Yes. So these were the studies that I
19 actually referred to before, so maybe I can have PN-7 again.
20 So the studies, and maybe it wasn't clear from what I said, but
21 what you see in this table are the studies that were discussed
22 in our core presentation, namely the studies that were
23 conducted with N9-GP without finding any adverse events.

24 And then the studies at the bottom are studies that were
25 conducted with PEG alone up to 13 weeks of dosing in monkeys up

1 to 200-fold of the clinical dose, again, without any adverse
2 effects. But however, in these studies we were able to
3 demonstrate vacuolation, which is a known phenomenon.

4 DR. LERNER: I think Dr. Packer was suggesting longer-term
5 studies, and that's what I was really referring to.

6 DR. MADSEN: Yeah. And there I have to refer to
7 literature. There is at least studies of 52 weeks duration at
8 very high doses in monkeys without any adverse function
9 consequences, as described by Dr. Sims.

10 DR. STOWELL: I think there was another hand out here.
11 Yeah, Dr. Ortel.

12 DR. ORTEL: So a more practical question. You described
13 having more follow-up of reported events, and it's been
14 recommended that a more systematic approach be taken to that.
15 But looking at the types of events that have been reported,
16 they're fairly nonspecific, and I was just curious, what are
17 you going to tell people to do if these events turn up, if a
18 child has headaches or if somebody has something? What is
19 going to be a recommendation or what should the provider do
20 other than note it?

21 DR. SEREMETIS: We fully agree that these are quite
22 nonspecific events. We have, in fact, in up to -- in fact,
23 over 4 years of follow-up in some of the younger children, seen
24 no signals suggestive of repetitive or severe headaches and so
25 forth. So we will ask for reporting of events as in the

1 context of ongoing clinical care and, on a case-by-case basis,
2 follow up in detail.

3 Do you want to comment on this, Mette, in terms of exactly
4 how this will proceed?

5 DR. SIMONSEN: Yes, Mette Simonsen.

6 So as just mentioned, we have seen no clinical signs or
7 symptoms related to this. We monitor the events that come in,
8 and in case we evaluate that there could be a concern based on
9 the events we evaluate, we'll make appropriate actions to
10 ensure the patient's safety. So it would really depend on the
11 situation. We evaluate the data. Currently, we have not seen
12 that there is a causal relation between the events and N9-GP.

13 DR. STOWELL: Dr. Packer.

14 DR. PACKER: I don't want to belittle how exciting it is
15 for these kids to be able to potentially live completely normal
16 lives, and I think that's extremely important, but we still
17 have to put this into some context. We're talking about 20, 25
18 children at this point. What is the number of children between
19 0 and 12 and also between 0 and 6 you believe would be
20 candidates for this drug over the next 2 to 3 years? How many
21 do you see treating if this drug is approved? As we talk about
22 surveillance events.

23 DR. SEREMETIS: Okay, we can look at reported numbers in
24 the U.S. for hemophilia B, and this is from the ongoing data
25 collection by the CDC. So we're talking about severe patients

1 with hemophilia B. These are the numbers below the age of 10,
2 and below the age of 2 is broken down. So a subset of these
3 would be candidates for --

4 DR. PACKER: I'm a little confused. Only between those
5 with severe hemophilia B, or will this drug be available for
6 all patients with hemophilia?

7 DR. SEREMETIS: Within the clinical development program,
8 we've only treated severe hemophilia B.

9 DR. PACKER: I'm asking what this would be used for, if
10 approved.

11 DR. SEREMETIS: The indication would be broader. So
12 should a treating physician choose to treat to higher factor
13 levels than are the ambient factor levels in the mild or
14 moderate hemophilia patient, the drug could be used in mild or
15 moderate hemophilia.

16 DR. PACKER: So theoretically, you're looking at possibly
17 about a thousand children who would be candidates under age 10,
18 and if there are any side effects, and we hope there are not,
19 if they're in the 5% or lower range, that's when you'd start
20 seeing them. Right now, with 20 kids or 25, it's hit or miss.
21 So with chronic use, I'm just looking at the numbers, what
22 you're looking at. So, in theory, if I read your slide, there
23 would a thousand patients less than 10 who would be potential
24 candidates.

25 DR. SEREMETIS: That's a reasonable reading of the

1 numbers.

2 DR. PACKER: Okay, thank you.

3 DR. DOBBS: I think I'd like to clarify something that it
4 seems that members of the Committee are stating, but I'm not
5 sure it's really being understood.

6 When looking at the safety of something and at rare
7 events, you kind of need to have standard work and standard
8 operational definitions of really what you're looking for, and
9 it just doesn't seem, like on slide 74, that we really have
10 those operational definitions yet for what are severe,
11 non-resolving, repetitive headaches, what's the threshold for
12 calling it that? How are we defining developmental delays in
13 the neurological examination findings? I didn't know what's
14 done at the hemophilia treatment centers; it's really
15 extraordinary. It's really extraordinary work. I didn't know
16 about those. But I'm not sure that it's really standard enough
17 to give useful definitions to those clinicians that are seeing
18 these patients and identifying what we hope are rare events
19 that really do need standard work around them. Will these
20 definitions be clarified?

21 DR. SEREMETIS: It's certainly the intent to clarify the
22 definitions with regard to what should be sought. But again,
23 you've reflected these are rare and perhaps nonexistent events.

24 DR. DOBBS: Yes.

25 DR. SEREMETIS: We're talking about a theoretical risk

1 that has not yet been documented in this or any other
2 development program using a 40-kDa PEG. So it's very difficult
3 to finely describe precisely what we're looking for
4 because -- and I leave it to you to describe what the potential
5 complications of choroid plexus dysfunction might be, and in
6 fact, we have shown no evidence of choroid plexus dysfunction
7 in the nonclinical trials either. So we're left in a little
8 bit of a conundrum. I think this is the best we can do.

9 We've thought very hard about this. We've consulted with
10 a number of external neurologists, neurosurgical experts with
11 regard to this question, and they're as puzzled as you are as
12 to what could be asked. But we're pointing in a direction of
13 looking for --

14 DR. DOBBS: I mean --

15 DR. SEREMETIS: -- events that we could define, yes. And
16 we will plan to define those in the course of our discussions
17 with the Agency.

18 DR. DOBBS: But there are good operational definitions for
19 these things.

20 DR. SEREMETIS: Sure.

21 DR. DOBBS: I mean, you know, if you ask me, I could give
22 you some in about an hour really. Don't ask me to do that.

23 DR. SEREMETIS: Okay.

24 (Laughter.)

25 DR. DOBBS: But I mean seriously, I think these could be

1 pretty well defined.

2 DR. SEREMETIS: Good. Okay, thank you.

3 DR. SHAPIRO: I think a related concern is that, you know,
4 it seems that -- I also was not entirely aware of all the great
5 work that's been done by hemophilia treatment centers, but it
6 seems like they might vary in their resources and attention to
7 subtleties of potential neurocognitive deficits, and also
8 there's the fact that not all patients receive treatment
9 through hemophilia treatment centers. So from what I
10 understand, if the monitoring is through those centers, then
11 any adverse effects experienced by those patients would fall
12 through the cracks.

13 I guess another concern that's being expressed by the
14 members of the Committee is that we may not want to only look
15 for adverse events reported by patients, but to have some way
16 of systematically detecting whether there might be emerging
17 problems, especially in development, and it would seem like a
18 monitoring plan for that could be produced that would involve,
19 you know, standardized instruments that are not too burdensome.

20 So all these children, in order to receive this treatment,
21 have to present to medical care fairly frequently. So it's a
22 regular interval. It would be possible, at least in theory, to
23 incorporate a standardized instrument measuring, for example,
24 executive function or a standardized neurologic exam, of which
25 there are several, several varieties, and so those could be

1 included as instruments as, you know, part of follow-up for
2 receiving this treatment. I think that I agree, it seems like
3 there is this theoretical -- but I wonder if that is something
4 that is viewed as too burdensome to include in a follow-up
5 monitoring plan.

6 DR. SEREMETIS: The Sponsor does not consider any measure
7 too burdensome should it assure the safety of the patient, so
8 it's certainly something we'll consider as we discuss further.

9 DR. STOWELL: Dr. Garman.

10 DR. GARMAN: I just wanted to jump back to my question
11 about brain sampling to make sure I didn't misunderstand. The
12 three sections of monkey brain that were shown, were those the
13 three on which immunohistochemistry was performed, or were
14 those the only sections of the monkey brain that were examined?
15 And somewhere there was talk of 45 different tissues were
16 examined. I'm sure the eyes were examined for evidence of PEG
17 and maybe not immunohistochemically, and also the pituitary.

18 DR. SEREMETIS: Dr. Madsen, can you review these data?

19 DR. MADSEN: Yes. So the slide that I showed previously,
20 just to share it once it again, so in the monkey studies there
21 were two H&E sections performed, including the forebrain and
22 the hindbrain, and immunohistochemistry was conducted on one of
23 these. With regards to the tissues otherwise examined, you're
24 correct that it's around 45 tissues. It included the standard
25 OECD guideline tissue list, including, as you mentioned, the

1 pituitary and the eye and the optic nerve.

2 DR. GARMAN: But those two slides, H&E stained sections,
3 that was the only part of the monkey brain you examined
4 microscopically; is that correct?

5 DR. MADSEN: No, not -- every tissue was examined
6 microscopically. It was only this brain section that was
7 examined by immunohistochemistry, and you could say the reason
8 why that was selected was due to the concern around potential
9 accumulation of PEG in the choroid plexus, which has been
10 listed by other 40-kDa PEGylated compound.

11 But whereas you could say that the tissue distribution and
12 the quantification of PEG that we conducted, we used a
13 quantitative method, which was using array overlay molecule,
14 and there we were able to show the elimination of PEG from
15 N9-GP in more than 30 tissues that were examined. All tissues
16 that were examined, we could describe the elimination, and as
17 you saw in my previous presentation, it was eliminated from all
18 tissues also, thereby substantiating the fact that steady state
19 was reached in both the clinical and the nonclinical studies.

20 DR. GARMAN: Thank you for clarifying. I'm just curious
21 how many brain sections would be examined by H&E staining from
22 a monkey. Not including immunohistochemistry, but just how
23 extensive sampling was performed on these brains.

24 DR. MADSEN: So I'd like to come back to that question
25 after the break. We will prepare a slide with details on this.

1 DR. STOWELL: I think we have time just for one more
2 question.

3 DR. ONYIKE: Yeah.

4 DR. STOWELL: Dr. Onyike.

5 DR. ONYIKE: Thank you. I'm not quite sure I heard the
6 answer to the question. Was there change in the brain weight
7 of the rodents and the primates? Now, it's possible to stain
8 for things and find nothing. There's a long history of that in
9 neurodegenerative disease. So I really want to know, did the
10 rodents or primates have a reduction in brain weight?

11 DR. STOWELL: And I think I --

12 DR. SEREMETIS: Dr. Madsen.

13 DR. STOWELL: -- missed Dr. Baker, if you have -- no?
14 Okay.

15 DR. MADSEN: The answer is no. There was no change in
16 brain weight.

17 DR. STOWELL: I think at this point we will have our
18 invited speaker, Dr. Maria Lehtinen from Boston Children's.

19 DR. LEHTINEN: Well, thank you very much. I'm pleased to
20 join you here for this meeting today, and I'm going to describe
21 some of the development and functions of the choroid plexus-
22 cerebrospinal fluid system and some of the ongoing research on
23 that area.

24 And so cerebral spinal fluid is very well established in
25 its passive roles for providing a fluid cushion for the

1 mammalian brain and its ability to maintain ionic balance for
2 the nervous system, and also for its role in providing a sink
3 for nervous system waste, and many of these waste products can
4 then be sampled and used as biomarkers of many neurologic
5 conditions.

6 More recent research in the field has begun to point to an
7 active role that proteins in the cerebrospinal fluid,
8 especially during brain development, have in instructing neural
9 stem cells and the brain.

10 To summarize and give an overview of the mammalian brain
11 and how the CSF flows, it's produced primarily by the choroid
12 plexus tissues, which are highly vascularized tissues located
13 in each ventricle in the brain. Certainly, other tissues that
14 are adjacent to the ventricles can contribute to CSF
15 composition, and there is mixing with the interstitial fluid in
16 the brain.

17 The CSF flows, it's typically viewed that the CSF flows
18 from the two lateral ventricles to the third ventricle to the
19 fourth ventricle. Then it bases the spinal canal, and also, it
20 can surround the brain in subarachnoid space from where it's
21 then resorbed into the venous circulation by -- at the
22 arachnoid granulations, for example, and as well as the
23 lymphatics.

24 The image on the bottom right zooms in on sort of the
25 structure, the basic structure, of the choroid plexus, which is

1 common to each ventricle in the brain, and here we can zoom
2 into it in a little bit greater detail.

3 And so the epithelium consists of these choroid plexus
4 epithelial cells that are joined by tight junctions, and these
5 tight junctions and the epithelial cells form the blood CSF
6 barrier. And so as I mentioned in the previous slide, the
7 tissue is highly vascularized and it -- and which water from
8 the blood is part of the water that then is found in the CSF.
9 The vasculature in the choroid plexus is fenestrated, and so
10 there is not the formal blood-brain barrier present in the
11 choroid plexus that we see in other parts of the brain.

12 The cerebrospinal fluid is secreted primarily by these
13 microvilli that extend into the cerebral ventricles.

14 In addition to the epithelial cells, we have many vascular
15 cells. As I mentioned, there are these fenestrated
16 capillaries, endothelial cells, and pericytes. In addition,
17 the choroid plexus is home to many immune cells. We have
18 choroid plexus macrophages, dendritic cells, Kolmer's epiplexus
19 cells, which are thought to be sort of antigen presenting cells
20 that reside on the internal side of the ventricles; and the
21 choroid plexus is believed to be a port of immune cell entry
22 into the CNS in various conditions.

23 It's estimated that healthy adults circulate about 150 mL
24 of CSF at any given time and that the CSF turns over about
25 three to four times on a daily basis, meaning that we're

1 producing upwards of 600 or 700 mL of CSF, which is about one
2 pint of CSF over a 24-hour period. The CSF is secreted mostly
3 by the microvilli, and as we age, the microvilli on the surface
4 of the choroid plexus epithelial cells has been shown to
5 decrease. And so along with that, there's the observation of
6 reduced CSF production in the aging brain.

7 And on the bottom, these images, we have sort of EM images
8 showing the surface of the choroid plexus epithelial cells as
9 well as a cross-sectional image of an epithelial cell.

10 The epithelium is highly polarized, and this leads to the
11 asymmetric localization of many channels, ion transporters,
12 ATPases, which help establish gradients in ionic concentrations
13 that then help drive water from the blood into the CSF. These
14 epithelial cells then also produce a lot of proteins and
15 various proteins, especially during development, that are
16 secreted into the CSF along with the water from the blood that
17 help constitute the cerebrospinal fluid.

18 We know from landmark studies carried out decades ago that
19 the embryonic CSF is crucial for normal brain development, and
20 these examples are from a developing chick carried out by Mary
21 Desmond and Antone Jacobson. And what they found is that where
22 the normal neural tissue expands and develops around these CSF-
23 filled brain ventricles, if you intubate the brain during early
24 stages of brain development and you prevent the accumulation of
25 CSF in the brain ventricles, we have essentially a collapse of

1 the brain; we have very inappropriately dividing regions of the
2 brain, and there's a lot of cell death.

3 In the converse condition, we have a condition called
4 hydrocephalus, which is characterized by an excess accumulation
5 of CSF inside the brain's ventricles, and this can really
6 result from several different conditions. There can be a
7 presence of excess production of CSF by the choroid plexus,
8 such as in the condition of villous hypertrophy of the choroid
9 plexus. Hydrocephalus can result from blockage of flow due to
10 injury or disease or just developmental malformation of the
11 brain, and there can also be an impaired resorption of CSF in
12 the ventricular system.

13 In very young children, this can present itself with a
14 rapid enlargement of the brain's ventricles. On MRI this can
15 be monitored as an expansion of the ventricular space. On the
16 right we have an MRI example of a normal individual, and an
17 expanded hydrocephalic brain is shown on the left.

18 And so hydrocephalus can present an entire population from
19 infants, very young infants, all the way to adults, and the
20 clinical presentation can be slightly different. And so as I
21 mentioned, in infants there could be a very rapid increase in
22 brain size; that can be accompanied by vomiting, sleepiness,
23 seizures, downward pointing of the eyes.

24 As a part of normal aging, there is a condition called
25 normal pressure hydrocephalus, which is a gradual increase in

1 CSF volume in the adult brain that's thought to be
2 attributed -- it's rooted to largely a decrease in the ability
3 to reabsorb CSF into the venous circulation. And this is
4 accompanied by increasing headaches, double vision, poor
5 balance, urinary incontinence, personality changes, cognitive
6 impairments, a diversity of clinical features that slowly
7 characterize the onset of this disease.

8 Other pathologies of the choroid plexus can include
9 choroid plexus cysts as an example of Aicardi syndrome.
10 Choroid plexus cysts are common in the developing fetus with
11 about 1 to 2% incidence. They can often resolve on their own.
12 And they can occur with increased frequency in trisomies, for
13 example, and occur in certain syndromes such as Aicardi
14 syndrome.

15 We can have choroid plexus hemorrhages, for example, which
16 tend to occur in infants at term rather than in premature
17 infants, such as -- and so we're looking at infants greater
18 than 35 weeks of gestation.

19 Choroid plexus hemorrhages are often associated with
20 perinatal stress, hypoxia-ischemia, in addition to factors such
21 as trauma, and anticoagulation therapy can trigger the onset of
22 this condition. Vascular malformations can also contribute to
23 choroid plexus hemorrhages.

24 Additional pathologies include choroid plexus tumors,
25 which can present themselves in the form of benign -- more

1 benign papillomas and malignant carcinomas. They represent
2 less than about 1% of brain tumors overall; however, in
3 children it really represents -- they represent 10 to 20% of
4 all tumors in the first year of life.

5 Choroid plexus carcinomas are regionalized, and they
6 typically present in the lateral ventricles of children mostly
7 between the ages of 2 and 4. In adults, these tumors are more
8 often papillomas of the fourth ventricle. And this
9 regionalization of tumor location and age of onset is very
10 poorly understood.

11 In addition to these conditions, the CSF in the choroid
12 plexus system is implicated in a number of other neurological
13 conditions, and so I'd like to highlight just a few of these.

14 So the central nervous system really has only one major
15 thyroid hormone carrier-distributor, and this is the choroid
16 plexus derived transthyretin. CNS deficiencies in thyroid
17 hormone are linked to abnormal brain development, dementia,
18 depression, and other cognitive difficulties, and they can be
19 due to systemic hypothyroidism or choroid plexus transthyretin
20 defects.

21 The choroid plexus is also central to several different
22 mechanisms that clear A-beta from the CSF in the brain, and
23 A-beta is a compound which is implicated in the pathophysiology
24 of Alzheimer's disease. And so we're looking at conditions, at
25 steps in this process involving CSF-mediated A-beta clearance,

1 direct A-beta absorption, and A-beta chaperone and protease
2 production, and these can be regulated in part by the choroid
3 plexus.

4 Alzheimer's disease is also associated with accelerated
5 atrophy of the choroid plexus, which may lead to decreased
6 A-beta clearance via these three mechanisms.

7 And finally, I'd like to just point out that accelerated
8 choroid plexus atrophy is also described in other neurologic
9 conditions, including stroke, multiple sclerosis,
10 schizophrenia, and other CNS diseases, really raising the
11 possibility that accelerated choroid plexus atrophy can
12 exacerbate and complicate multiple CNS disorders.

13 On this slide I'd like to summarize some recent findings
14 on transcytosis of folate at the choroid plexus.

15 Folate is an essential vitamin B, and we acquire that from
16 our diet. It's required for DNA and RNA synthesis, and the
17 folate receptor alpha is actually highly expressed by these
18 choroid plexus epithelial cells, and so there's a model in
19 which folate transcytosis via the exosomes is a critical
20 mechanism for transferring folate from the blood into the
21 brain.

22 And here's an immunohistochemical section showing staining
23 of the folate receptor alpha, and in this model we have a
24 schematic showing how folate can be -- is internalized by
25 choroid plexus epithelial cells at the basal membrane of these

1 cells and then transported into the CSF via exosomes. From the
2 CSF, folate can then enter the brain parenchyma and have its
3 favorable positive actions in the developing and in the mature
4 brain.

5 In the last part of my talk, I'd like to touch on some of
6 the recent developments and findings investigating the active
7 instructive roles of CSF-distributed factors on brain
8 development.

9 And here we have a coronal section of a human fetal brain,
10 and we can appreciate that the cerebral spinal fluid really
11 occupies a tremendous amount of real estate in this developing
12 brain. The choroid plexus is the white tissue, the bilateral
13 white tissue shown in this image, and on the left is an EM of
14 actually eroded ventricular surface.

15 And so there's a growing body of work that's begun to
16 investigate how the cerebral spinal fluid composition changes
17 during early stages of brain development and how secreted
18 signals by the choroid plexus are delivered to these neural
19 progenitor cells shown on the left, which are directly in
20 contact with the cerebrospinal fluid.

21 These neural stem cells interact with the secreted signals
22 in the CSF, and these signals then can specify the survival,
23 identity, and division of these neural stem cells and
24 contribute to brain development.

25 Many of these studies have been carried out using rodent

1 models, and I'll show some of those data here.

2 Here is an example of the total protein concentration in a
3 developing fetal rat CSF, and we can see that the total CSF
4 concentration peaks during its embryonic field development,
5 peaking at birth, P0, and then it rapidly declines postnatally
6 into adulthood. And these observations have actually been
7 confirmed and observed in many other species as well, shown
8 here on the left from work of a number of labs around the
9 world.

10 If we sample the CSF at individual time points during
11 embryonic development and we analyze its protein composition,
12 we can see great variance in the proteins that are delivered by
13 the CSF.

14 And on the right is a silver staining analysis which shows
15 the general protein banding patterns that are present in the
16 CSF over the course of rat embryonic development and into
17 adulthood. Each band represents an individual protein, and
18 what we can see is there are proteins that are available very
19 early on and then disappear. We observed the transient
20 availability of proteins, and there are also proteins in the
21 CSF that are present later on in adult life.

22 Here's an example of one factor that we've investigated in
23 my lab, called insulin-like growth factor 2 or IGF2 for short.
24 This is a growth factor that's very important for the
25 regulation of stem cells for many organs in our bodies during

1 development, and we've identified its transient availability in
2 the developing rat cerebrospinal fluid.

3 In this example, shown here are bands on a western blot on
4 the top panel. And consistent with an active secretion into
5 the CSF by the choroid plexus on the right, we have an in situ
6 showing its expression levels in the choroid plexus.

7 And so it's studies such as this that have really led to
8 an analysis of the CSF proteome using many different
9 techniques. And because many of the proteins in the CSF are
10 thought to arise from the choroid plexus, this has opened up a
11 field of research where a number of labs are starting to
12 investigate how the choroid plexus epithelial cells, shown on
13 the right, are actively sensing and receiving signals to
14 modulate their activity and how the transcriptome of these
15 cells may develop, may vary during the course of development
16 and into adulthood to modulate CSF composition.

17 I will show some of the data from these rodent studies.
18 We have an example here of a mouse brain at late embryonic
19 development where the lateral ventricle choroid plexus and the
20 fourth ventricle choroid plexus have been isolated. We've
21 analyzed the gene expression profiles of these different
22 tissues using a technique called RNA sequencing, and the heat
23 map on the right is a color code of genes that are differently
24 expressed between the different choroid plexus tissues located
25 in different ventricles of the brain.

1 And this is a very complicated diagram, but I'd like to
2 show it just to point out that the take-home message really is
3 that there is regional diversity in the choroid plexus tissue.
4 So we speak of the choroid plexus, but it actually has a unique
5 identity in each ventricle in the brain.

6 Many of the regional gene expression patterns that we've
7 observed actually parallel regionalization that's known to
8 exist in the developing -- in developing organisms that
9 are -- in these developmental changes, and patterns are
10 conserved evolutionarily. In our model, we interpret these
11 results to really then demonstrate that there is a
12 regionalization or a basic patterning of the body plan that's
13 retained in the different choroid plexus tissues and the
14 different ventricles in the brain. That leads to a
15 regionalized gene expression of the choroid plexus.

16 We see that many of these differences in regional gene
17 expression patterns are conserved from the mouse to both
18 macaque and human brain, actually sort of reinforcing the
19 translational value of this research.

20 And when we go back to our mouse/rodent models where we
21 have attempted to evaluate how these regional gene expression
22 changes might contribute to the production of regionalized CSF,
23 we find that the regionalization does lead to a selective
24 secretion of different proteins into the different brain
25 ventricles.

1 So while there is flux mixing and flow of CSF from the
2 lateral ventricle to the third and the fourth at the initial
3 sites of production, there are different proteins that are
4 actively being secreted into the CSF.

5 Interestingly, while many of these studies have really
6 focused on the developing embryonic rodent brain and the
7 choroid plexus therein, when we examine these gene expression
8 patterns in the adult choroid plexus in rodents, we see that
9 these gene expression patterns change. And so that really
10 means that there is a dynamic regulation of the choroid plexus
11 transcriptome between embryonic and adult that really still
12 remains to be understood at the mechanistic level.

13 These gene expression changes at the level of the cells
14 and tissues themselves lead to the differential secretion of
15 factors into condition medium in experiments where we've
16 isolated the tissues.

17 And also, we're able to observe these regional differences
18 in many different proteins, including some morphogens that are
19 really key factors for driving and instructing brain
20 development, including sonic hedgehog and IGF2. And in our
21 studies, we found that these regional patterns are conserved in
22 the primate brain as well.

23 And so to summarize, I've given you an overview of the
24 current beliefs on the models of choroid plexus and CSF
25 functions. The choroid plexus tissues in the brain's

1 ventricles are regionalized, they produce CSF for the nervous
2 system, and the CSF flows throughout the nervous system.
3 There's a tremendous turnover of the CSF during a 24-hour
4 period, and the CSF composition varies tremendously from early
5 stages of brain development into adulthood.

6 We know that the CSF has -- in addition to its very well-
7 described passive roles for providing a fluid cushion for the
8 nervous system, it also delivers important morphogens and
9 growth-promoting factors for the developing brain. It's
10 implicated also in a number of pathologies in the brain,
11 including tumor genesis, hydrocephalus, and A-beta clearance,
12 for example, which I mentioned in the previous slides.

13 And so with that, I'd like to thank you, and I'd like to
14 acknowledge and thank our collaborators who have helped to
15 contribute to many of the studies that I described, and we're
16 grateful for our funding sources that enable us to carry out
17 this research in this field. Thanks very much.

18 DR. STOWELL: Thank you.

19 We'll now proffer questions of the speaker.

20 DR. BASAVARAJU: So you talked about the choroid plexus
21 and a change in stroke and schizophrenia and things like that.
22 Do you know how -- what the changes on the choroid plexus are
23 in other like common pediatric cognitive or behavioral
24 disorders, you know, like ADD and things like that, or are
25 there any known changes to the choroid plexus?

1 DR. LEHTINEN: So this is a really important question.
2 The basic science is really starting to suggest that there are
3 important roles for the choroid plexus CSF, and CSF and
4 hydrocephalus is also associated with many different
5 neurological conditions. But the specific changes in many of
6 these conditions that you are referring to are really poorly
7 understood at the level of individual cells in the choroid
8 plexus.

9 DR. STOWELL: Dr. Garman.

10 DR. GARMAN: That was a very excellent overview, and we
11 used to just think of lysosomes as being the trash cans of the
12 cell, and now it's being realized that lysosomes are very
13 important in cell functioning and are critical for a certain
14 pathway such as autophagy.

15 So my question to you, you're more of a cell biologist
16 than I am, if I take an ependymal cell and I stuff it full of
17 PEG-containing lysosomes, how do you think that would affect
18 the function of that cell in doing some of the protein
19 secretion processes that are so important?

20 DR. LEHTINEN: That's a really important question and I
21 have to say I'm not aware of any specific studies that have
22 addressed that question directly. If I have to hypothesize, we
23 know that the choroid plexus epithelial cells are really
24 important for the clearance of A-beta, for example, and
25 lysosomal clearance and breakdown mechanisms are important for

1 degradation of many different factors.

2 No, I think that these things would -- it would be
3 important to design tests to study at least a couple of
4 activities or factors that are known to be cleared or known to
5 transit through the choroid plexus to begin to address these
6 questions.

7 DR. SHAPIRO: I have two questions that are somewhat
8 related. And as you know, we're trying to figure out whatever
9 we can about how the accumulation of PEG in choroid plexus
10 epithelium might affect choroid plexus function and, more
11 broadly, nervous system development.

12 So the first question is how specific is transcytosis
13 across the choroid plexus epithelium? So if there is
14 accumulation of PEG within the choroid plexus epithelium, is it
15 reasonable to think that that might be then secreted into the
16 CSF and that there might be accumulation of PEG in the CSF? I
17 realize this is speculative and you might not know the answer.

18 And the second question is, are there differences in
19 permeability of the blood CSF barrier and transcytosis of
20 substances across choroid plexus epithelium with development?
21 So, for example, do you see more permeability in infants and
22 young children compared to adults or older adults?

23 DR. LEHTINEN: Okay. So there are a lot of questions in
24 there, so I'll try to address the first question first, but if
25 I'm missing something, please remind me.

1 So the first question was if the PEG can accumulate in the
2 choroid plexus epithelial cells and if there might be
3 indication that that could interfere with normal transcytosis
4 or if the PEG can end up in the CSF?

5 DR. SHAPIRO: Right. Or if the PEG could end up -- well,
6 actually -- yeah, both. So you unpacked that into questions,
7 but one is whether the PEG could then end up in the CSF, and
8 the second is whether it would interfere with normal
9 transcytosis.

10 DR. LEHTINEN: So I think if the PEG is being
11 transcytosed, then I would predict that it could be present in
12 the CSF, and I think that would be worth testing. In terms of
13 interfering with transcytosis, other mechanisms or other
14 molecules that are being transcytosed and transported that need
15 to be transported, that would certainly be something that could
16 be tested, I would imagine, with perhaps folate as a candidate
17 marker because the assays seem to be well established.

18 In terms of the age-dependent changes in transcytosis,
19 those are not very well understood and characterized at this
20 point. We know that the CSF protein concentration peaks near
21 birth in rodents and then declines, but even the mechanisms for
22 rapid decline in CSF protein concentration is not very well
23 understood, and it may be that that may have something to do
24 with the maturation of the brain -- of lymphatics and arachnoid
25 granulations. And there really are limited studies, limited to

1 no studies examining these developmental processes.

2 DR. PACKER: I've read more about the choroid plexus in
3 the last 48 hours than I have in the last 20 years, so I'm
4 trying to understand if vacuolation, which keeps being brought
5 up, is just a compensatory mechanism of the macrophages in the
6 choroid plexus and pericytes or whatever they are and that is
7 just compensatory and then they -- when the PEG is gone, they
8 settle down and they disappear, the vacuolation, or does
9 vacuolation really represent any real damage to the choroid
10 plexus?

11 DR. LEHTINEN: These are good points. It would be
12 something that we could think of different ways to test that in
13 animal models, for example, if there's PEG that's presented,
14 and we could really look at tests for the accumulation of these
15 vacuoles and see if they're eliminated later on. I don't know
16 of any specific study that has looked at that.

17 DR. PACKER: And the follow-up to that is we've heard from
18 the FDA that we don't really want to talk about other PEG
19 studies because PEG is different in different ways.

20 Is there any evidence that PEG is that much more toxic or
21 less toxic at different weights or different configurations, or
22 is this a relatively inert object that sort of gets swallowed
23 by the choroid plexus and disappears? How much variation have
24 you seen, and do you think that the PEG causes different types
25 of PEG?

1 DR. LEHTINEN: I'm not aware of that at all actually, so
2 it may be that data are out there to answer that question, but
3 I'm not aware of those studies.

4 DR. STOWELL: Dr. Elmore, I believe you have a question.

5 DR. ELMORE: Thank you. Susan Elmore. Thank you for that
6 wonderful presentation. I think that you're really doing some
7 good work in your lab, and that really underscores the
8 complexities of the choroid plexus.

9 What we know about this -- and, you know, we know a lot
10 about the choroid plexus; of course, there's also a lot that we
11 don't know, as you're aware. And in newborn mice, we know that
12 the choroid plexus epithelial cells function as neural
13 progenitor cells, at least for a period of time. They can give
14 rise to, for example, astrocytes, and that disability decreases
15 with age.

16 We also know that for a time there's almost a fivefold
17 increase in the number of newly divided cells which are
18 proliferating immature neurons in the choroid plexus of mice at
19 1 year of age. So, you know, this really underscores that it's
20 a very important stem cell niche for a period of time, and you
21 did allude to that earlier.

22 And then when we look at the aged, at aged mice, we also
23 see that there are some structural changes like lipid droplets,
24 like dense bodies, changes in the microvilli, they become
25 longer. So there are changes that occur, you know, in the

1 choroid plexus of aged mice, as well.

2 So these are just looking at rodents. So I guess, you
3 know, there really should be a concern whether or not, as
4 Dr. Garman alluded to, PEG accumulation within the choroid
5 plexus could cause some functional change.

6 So I guess mine is just really a comment, not so much of a
7 question, but again just underscoring the complexity of the
8 choroid plexus.

9 DR. CHITLUR: Do you see vacuolation -- first of all,
10 thank you very much. That was an excellent presentation. Do
11 you see vacuolation in the choroid plexus as a result of some
12 infectious complications? Is that something that's been seen
13 or studied? And if there is increase in vacuolation of the
14 choroid plexus, does it actually affect the function of -- you
15 described various functions of these cells. Do we know if that
16 directly translates into dysfunction?

17 DR. LEHTINEN: Yes. So I'm not aware of studies that have
18 looked at specifically the vacuolation under those conditions.
19 As was brought up in some of the earlier discussions, it's
20 very -- we don't have a lot of great assays for evaluating the
21 functions of the choroid plexus, to begin with, in a dish
22 because it's so much a part of the whole body physiology. It's
23 really at the receiving the blood supply; it's at the interface
24 between the blood and the brain.

25 And so this is really something that is an area of active

1 research at this time to try to establish assays that can
2 evaluate what normal choroid plexus function is under these
3 different categories that we think of tasks that it is -- we
4 believe it's accomplishing in the brain.

5 DR. STOWELL: So last question. Dr. Ortel.

6 DR. ORTEL: So in one of the presentations from the
7 Sponsor, there was discussion about one of the studies, that
8 vacuolation was not observed at an increased rate in the N9-GP
9 compared to just vehicle, and it was overall fairly uncommon.

10 I was just curious, in the normal anatomy or review of the
11 choroid plexus, are vacuoles seen at some percentage in
12 normals, if you just look across the spectrum of people?
13 Because this is just comparing vehicle with drug, so I'm not
14 quite sure -- I don't have an idea of what baseline is.

15 DR. LEHTINEN: So I'm not aware of any study that has
16 systematically documented that.

17 DR. STOWELL: Dr. Lehtinen, thanks very much for your very
18 helpful talk.

19 At this point, we'll move to the Open Public Hearing part
20 of this session today. We have got three speakers that we're
21 aware of at this point. I need to read for you the Open Public
22 Hearing statement.

23 So both the Food and Drug Administration and the public
24 believe in a transparent process for information gathering and
25 decision making. To ensure such transparency at the Open

1 Public Hearing session of the Advisory Committee meeting, FDA
2 believes that it is important to understand the context of an
3 individual's presentation. For this reason, the FDA encourages
4 you, the Open Public Hearing speaker, at the beginning of your
5 written or oral statement, to advise the Committee of any
6 financial relationship that you may have with the sponsor, its
7 product, and if known, its direct competitors. For example,
8 this financial information may include the sponsor's payment of
9 your travel, lodging, or other expenses in connection with your
10 attendance at this meeting. Likewise, FDA encourages you, at
11 the beginning of your statement, to advise the Committee if you
12 do not have any such financial relationships. If you choose
13 not to address this issue of financial relationships at the
14 beginning of your statement, it will not preclude you from
15 speaking.

16 So the first person, I believe, who we'll have speak is
17 Mark Skinner, who is representing the National Hemophilia
18 Foundation.

19 MR. SKINNER: So good morning, Mr. Chairman. Does this
20 work? Is this where you want us?

21 DR. STOWELL: Please, go ahead. And for all speakers, if
22 you'd please confine yourself to about 5 minutes.

23 MR. SKINNER: Correct. So I am Mark Skinner, and I guess
24 the first thing that I should clarify is I'm not speaking
25 officially on behalf of the National Hemophilia Foundation.

1 Although I have a long history of leading both national and
2 international patient organizations, I'm speaking today as an
3 individual, a patient who lives with severe hemophilia.

4 The disclosure that would be appropriate for me to make is
5 I am the principal investigator on an independent initiated
6 research study looking at patient outcomes of hemophilia. Novo
7 Nordisk, along with the competitors of Novo that make competing
8 products for factor IX, also are studied, so it's a multi-
9 sponsor funded study that includes Novo. And I am a paid
10 consultant of the National Hemophilia Foundation.

11 Patients very much appreciate the recognition that it is
12 appropriate to consider patient perspectives in drug
13 development approvals. Patients do, in fact, consider things
14 very differently than clinicians, researchers, or regulators.

15 As part of the FDA Patient-Focused Drug Development
16 Initiative, which included hemophilia and bleeding disorders as
17 one of the topics, the National Hemophilia Foundation and the
18 Hemophilia Federation of America jointly surveyed patients, and
19 patients were specifically asked about the advantages and
20 disadvantages of the current treatments and what characterized
21 their ideal treatment.

22 Responses to these questions support the community's
23 desire for longer-lasting products. Sixty-four percent of the
24 patients to the survey said the ideal treatment would last
25 longer so they could infuse less frequently, and 41% said the

1 most significant disadvantage of their current treatment is
2 that they have to treat too frequently. The full study and the
3 results are actually part of the FDA record, which is
4 available.

5 Additionally, in a 2014 multinational patient perspective
6 study, which included the United States, patients were asked to
7 choose would they prefer higher trough levels or reduced
8 frequency in infusion, and the responses were actually split
9 about 50/50 across the national -- the international sample.
10 However, it was also clear from this research that if patients
11 had their choice, they want both, which seems logical.

12 And so part of my comment today is patients really should
13 not have to choose between a longer-lasting product and one
14 that is more efficacious.

15 In published medical peer-reviewed literature, I
16 previously challenged the notion that the target treatment
17 level should continue to maintain a trough level of greater
18 than 1%.

19 Patients and clinicians have been conditioned to accept
20 converting their phenotype from severe to a moderate state, and
21 this has been the treatment standard since the 1960s, and this
22 has been interpreted to be a treatment level of greater than
23 1%. Given product advances over the past 50-plus years, it is
24 time to consider whether the 1% treatment is really sufficient
25 to prevent bleeding or whether it's simply based on historical

1 considerations, product limitations at the time, the treatment
2 burden that would ensue, or the cost of the product.

3 Science has brought us to a point where a new paradigm
4 with new treatment goals are actually at hand and where
5 treatment could actually mimic a more normal state approaching
6 50% of a range of normal. Patients, in fact, have a desire to
7 be normal, to live a life spontaneously without the risk of
8 spontaneous bleeds.

9 There's an ongoing need for new and additional treatments
10 for factor IX products, and the National Hemophilia
11 Foundation's Medical Advisory Committee, in fact, has called
12 for the full range of clotting factor products to be available.
13 They're not pharmacologically equivalent, and they vary based
14 on their purity and a number of other factors, including the
15 mechanism of extension.

16 The characteristics of each product, the resultant product
17 choice are for an individual patient and their clinician to
18 make. It is a complex decision-making process and one which
19 the community has great familiarity. And although the
20 community was asked to narrowly consider and focus its
21 attention on this core set of issues today, it is really hard
22 to separate the issues being considered today from the overall
23 risk-benefit profile. Whether or not and how patients would
24 choose to transition to a new product is something that
25 patients and clinicians can make.

1 The safest, most effective drug that is not available to
2 patients is of no benefit to a patient. And so post-
3 authorization surveillance studies, in fact, are a typical way
4 to monitor for long-term safety concerns.

5 If we analogize to the history of inhibitors where we've
6 tried to predict the immunogenicity of different products
7 premarket, we've learned that we've been wrong, and it may take
8 10 or 20 or 30 years of postmarket surveillance work to
9 actually understand. We believe that the issues being faced
10 today are very analogous to history and probably ones that
11 we'll face again soon when gene therapy and other products are
12 before us.

13 So, lastly, I would say if the Committee or the FDA has
14 ongoing questions or concerns about patient perspectives or how
15 clinicians are managing these decisions in their clinical
16 decision making today, we would encourage you to take the time
17 to reach out more broadly and talk to the community about it.

18 Unfortunately, the Committee questions were just published
19 this past Friday, and so the time between understanding the
20 narrow specificity with which the Committee was being asked to
21 consider and today's hearing was relatively short, and we would
22 encourage time to look at that more broadly.

23 Thank you.

24 DR. STOWELL: Thank you very much.

25 The next speaker is Wayne Cook, who is the president of

1 the Coalition for Hemophilia B.

2 MR. COOK: Thank you, Mr. Chairman.

3 I was invited here, and I was compensated for my travel
4 and lodging by Novo Nordisk.

5 As the Chairman said, my name is Wayne Cook. I'm
6 President of the Coalition for Hemophilia B. I also have
7 severe factor IX hemophilia along with two daughters with
8 hemophilia and a grandson with severe factor IX hemophilia.
9 I'm here today just to, you know, just to give my perspective
10 of, you know, what's going on in the community with people that
11 I deal with through the coalition as a community advocate.
12 I've been a community advocate for over 30 years now and dealt
13 with people in our factor IX community, and it's been a long-
14 term thing that we have looked forward to for many, many years
15 of having new products when we didn't have many choices.

16 Patient choices is a big thing in our community,
17 especially in the factor IX community, you know, and anytime
18 that there is a new drug that comes out or is being introduced
19 to the community, our group, the factor IX group, really looks
20 forward to it and anything that can help. These long-term
21 drugs that are being -- that are coming out with the longer
22 half-lives and stuff like that, these are great things for not
23 only just people like myself, but our younger generations and
24 our younger kids.

25 One thing that comes to my mind is Dr. Christopher Walsh,

1 when he speaks, he always says, he says how many bleeds are
2 enough, you know, how many bleeds does it need to take before
3 you start stopping the bleeds? And that's what we need; we
4 need to have these drugs to come out so we can live the normal
5 lives that we should have had.

6 Myself, I mean, the multiple surgeries and joint
7 replacements and everything that I've gone through, or my 56
8 years of being alive, you know, I cherish what these kids in
9 the new generation has. It's a great time in our community,
10 and I personally think that I would never have ever thought
11 that in my lifetime I would see the drugs and the medication
12 that's out there to control our bleeding and a possible cure
13 for hemophilia.

14 So, you know, we need these, we need patient choices that
15 we can work with, and whatever works for our community, that's
16 what we need.

17 So thank you for giving me this opportunity to speak, and
18 I appreciate it. Thank you.

19 DR. STOWELL: Thank you, Mr. Cook.

20 The third person is Ben Shuldiner.

21 MR. SHULDINER: Hello. Good afternoon almost, I guess.
22 It's 11:55. My name is Ben Shuldiner. I was compensated with
23 my travel and lodging, but to put that in perspective, I was
24 just at the Final Four in Phoenix, took a red-eye, got in at
25 about 7:10 this morning. I am doing this on my own volition,

1 to say the very least. And the reason why I am here is, as
2 Wayne and Mark said, this is really important stuff. I, too,
3 have severe factor IX hemophilia, wonderful joint degradation
4 and all sorts of issues that come along with having hemophilia
5 and being a little bit older.

6 The medicine that we grew up with saved our lives, but it
7 also wasn't that good. And so when you look at people who have
8 hemophilia between the ages of 30, 40, 50, 60, a lot of us have
9 really bad joints. And one of the reasons for that was we also
10 tend to be terrible patients. We don't like taking our
11 medicine when we're supposed to. We like to pretend that we
12 don't have these bleeding disorders and instead do stupid
13 things like, you know, play basketball and football.

14 But the problem, of course, was that we did have this
15 disease, and the disease causes all sorts of issues in terms of
16 internal bleeding. And the medicine, for years, had been, you
17 know, infusions that you would have to take multiple times a
18 week, sometimes three, four, even five times depending on how
19 active you were.

20 And I will speak for myself here. I, like those remarks,
21 was a terrible patient, and even though I was supposed to be
22 taking my medicine all the time, who the hell really wants to
23 put a needle in their arm and then inject yourself with a bunch
24 of stuff? The long-lasting factor that this represents, and
25 others do, has been a paradigm shift in the way that our

1 community handles itself, and I can say personally, it's been a
2 paradigm shift to how I handle myself. I now get to take my
3 medicine once a week. Oh, it's part of the trial.

4 This was the first time in my life that I was ever able to
5 kind of stick to the program, and by sticking to the program,
6 it has changed me physically and mentally. I am in much better
7 shape, I feel much less pain, I am able to -- I mean, basically
8 I'm an idiot for not having taken my medicine, you know,
9 previously, but the fact that we have this stuff where you can
10 take it once a week and feel good is really incredible.

11 But the reason why, I guess, I want to speak a little bit
12 more is that the community also needs choice. To say that
13 there is one medicine, that's fine, but it doesn't always work
14 with everybody. And you guys are all the lauded doctors and
15 folks who have studied this. All this stuff works differently
16 on different folks. And what we find, of course, in the
17 community -- it's a pretty tight-knit community. I know Wayne,
18 I know Mark; they're really great guys. The fact that I get to
19 speak after them is pretty great for me. You should listen to
20 them much more than you should listen to me. But the idea is
21 that this all works differently for different folks, even the
22 same exact medicine. And so having a choice, knowing that
23 there is a long-lasting factor out there -- I mean, there is
24 also probably going to be competition and price, which is also
25 helpful because this stuff is stupid expensive. And so that

1 kind of stuff is really, really helpful to us.

2 And the last thing I'd say is, you know, I'm
3 actually -- you know, I'm a professor at Hunter, and I run a
4 bunch of programs and, you know, I like research, and I like
5 the idea that we can have multiple things and multiple choices.

6 But just to understand kind of very deeply the paradigm
7 shift that this kind of medicine represents has changed
8 dramatically the way that people in the hemophilia community
9 live, and I am a product of that, and I can tell you just how
10 happy I am to be on a long-lasting factor now, to have been
11 part of this study, to have kind of been exposed to what this
12 looked like and to know that it really does change me for the
13 better. And I just urge that everybody at the table, you know,
14 allows for this and other medicines that help this incredibly
15 powerful and dynamic but very small community. We need your
16 support and your help in allowing us to get the best medicine
17 possible.

18 So, with that, I want to thank you guys all, and I think
19 I'm the last one, so have lunch, right? And thank you.

20 DR. STOWELL: Thank you very much, Mr. Shuldiner.

21 Are there any other individuals from the public who would
22 like to speak at this time?

23 (No response.)

24 DR. STOWELL: Seeing none, then, we will adjourn for
25 lunch, and we will reconvene at 1:15.

1 (Whereupon, at 12:00 p.m., a lunch recess was taken.)

2

3

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

1 this is the actual sectioning that was done. So in contrast to
2 what I said, it's actually five sections. It does include both
3 the cerebellum, the midbrain, the cerebrum, and the medulla
4 oblongata, and these slides were the standard done at that
5 time.

6 So with these data, we were able to evaluate the
7 histopathology. This is a representation of the choroid
8 plexus, specifically in a control and a high-dose rat, and this
9 is where we -- this is the type of analysis that we base our
10 conclusion that there is no adverse effects. But this was
11 further substantiated also by the fact that we did electron
12 microscopy.

13 And I think maybe dwelling a little bit on this picture.
14 So to the left, you have a control rat and to the right a high-
15 dosed, 26-week dosed rat, and everything in the ultrastructure
16 was normal. The only finding was, as we have discussed also
17 this morning, the fact that we could see microvesicles with
18 PEG, or lysosomes, that is. But maybe to sort of put our
19 analysis into perspective, if I can briefly ask Dr. Henry Wall,
20 our consultant pathologist, to give his perspective.

21 DR. WALL: Good afternoon. I'm Dr. Henry Wall, an
22 independent consulting veterinary pathologist with over 35
23 years of experience in toxicologic pathology, including use of
24 electron microscopy quite extensively, and I have experience
25 evaluating and interpreting effects of PEGylated compounds.

1 I had an opportunity to look at study reports and closely
2 evaluate the data, and the testing was quite comprehensive and
3 went well beyond normal testing, to include light microscopy,
4 immunohistochemistry, and transmission electron microscopy.
5 And I also noted that the data was reviewed by two external
6 pathologists, and I concur with their findings, that there was
7 no treatment-related vacuolation.

8 And I also wanted to just get back to the point that the
9 immunohistochemistry and EM demonstrated the presence of PEG
10 and vesicles, but that was not quantitative. Dr. Madsen showed
11 four graphs of the elimination curves of PEG, and I want to
12 just emphasize that PEG was eliminated from all the tissues,
13 including the choroid plexus.

14 DR. SEREMETIS: Thank you, Dr. Wall.

15 We were also asked about breakdown by age in the pediatric
16 study. So we're only looking now at the 0 to 2 age group, and
17 that was within the cohort of 0 to 6. These are the four
18 patients who were enrolled in the previously treated patient
19 study, who would be below the age of 2, and there were an
20 additional eight over the age of -- 3 or over. And you can see
21 the country distribution.

22 With regard to what we saw in these patients, this is the
23 summary of all adverse events reported in children, both age
24 cohorts 0 to 2 and 3 to 6, and as you can see, the majority
25 were mild and unlikely related to N9-GP. The one anticipated

1 question that was considered possibly related was a
2 gastrointestinal disorder, and there was some uncertainty about
3 the relationship. So it was related to this possibly.

4 As you can also see, there were no nervous system
5 disorders reported in either the 0 to 2 or 3 to 6 years age
6 groups and no psychiatric disorders reported in these age
7 groups.

8 That sufficiently addresses that question. And then I
9 also wanted to mention that, beyond the previously treated
10 patients, which has been what we focused on today, we have also
11 enrolled the previously untreated patients. Actually to date,
12 summary with regard to the number of previously untreated
13 patients, we've enrolled 23, and 20 of these are below the age
14 of 2. The longest time in assessment of these patients is 2½
15 years. So we have a larger cohort of previously untreated
16 patients who have been followed for as long as 2½ years.

17 Now, with regard to the question raised regarding what
18 treatment centers enrolled patients, this is a summary of the
19 treatments centers from the U.S. that were involved in the
20 clinical development program for N9-GP. As you can see, this
21 is basically a distribution across the country, and it includes
22 a lot of centers that I'm sure you're familiar with. So a
23 quite broad distribution, 32 patients in 21 U.S. sites
24 throughout the U.S. And we did not note any demographic or
25 baseline information that differed amongst the enrollees from

1 the various sites, although there were very small numbers with
2 each site.

3 And you asked a question about specifics with regard to
4 demographics for the U.S. patients, and here is a summary of
5 demographics for U.S. patients coming up. Oops, we've just
6 lost it. Let's do it again. We're having a little technical
7 difficulty. Let's try again. Okay. So yeah, good, it's
8 stable now. This is both by age and by race. It's broken
9 down: white, Hispanic, and black. And I think that the data
10 speak for themselves as to the distribution that we were able
11 to enroll in the study.

12 Okay. And just to perhaps reiterate based on some of the
13 commentary in the open session. We are able to, with N9-GP,
14 achieve very high levels of circulating factor IX. That allows
15 for the elimination, largely elimination of spontaneous
16 bleeding and specifically the significant mitigation of
17 traumatic bleeding, the correction of target joint bleeding,
18 and enhanced quality of life.

19 So we expect that this is an important consideration, as
20 was articulated by the hemophilia patients, and hope that this
21 will be considered when we think about going forward and
22 understanding how to monitor these patients in the
23 post-approval study.

24 Thank you very much.

25 DR. STOWELL: Okay, thank you very much.

1 All right. So they put up the slides with the series of
2 questions, and the questions that the FDA has posed to us
3 really focus around safety concerns. We're not really here
4 today to vote on whether or not to recommend licensure or not
5 for this product. Where the FDA is really looking for input is
6 our thoughts about the safety profile of this material and
7 whether there are concerns about it.

8 So the first question, basically, is they would like our
9 thoughts about the clinical significance of the preclinical
10 findings that they have noted, which have been presented to you
11 today.

12 I think Dr. Garman was going to make some comments on that
13 regard.

14 DR. GARMAN: Thank you.

15 I was originally going to talk a little bit about choroid
16 plexus function, but Dr. Lehtinen did such a beautiful job of
17 doing that, I'm going to skip all of that. And I believe, at
18 this point in time, you all know as much about the choroid
19 plexus as I do. So my comments are going to be based upon peer
20 reviews that I've performed on five or six different PEGylated
21 compounds, some of which have been approved and others which
22 were removed from consideration. And I'd say that all of the
23 ones, all of the compounds that I've looked at had greater
24 amounts of PEG in the choroid plexus than this particular
25 formulation.

1 Let me address this whole business of vacuolation a little
2 bit because I think, for non-pathologists, the word
3 "vacuolation," is that biologically significant? What is this?

4 In my experience, as PEG is taken up into the choroid
5 plexus epithelial cells, you don't get vacuoles right away.
6 The cells swell a little bit and they look a little bit more
7 granular, and this is because the PEG is being sequestered into
8 lysosomes, and eventually, at least for some compounds, you'll
9 see choroid plexus epithelial cells that are just stuffed with
10 lysosomes containing PEG.

11 It's later on, as the lysosomes either combine or you'll
12 get combination with other endosomal portions, that you get
13 these larger vacuoles. And so basically, what these vacuoles
14 are is a combination of the lysosome-containing PEG and the
15 PEG.

16 Now, sometimes these vacuoles don't stain with
17 immunohistochemistry, and this may have to do with tissue
18 processing. And for those of you that don't understand about
19 paraffin sections, the tissue has to go through various changes
20 of alcohols and xylenes, and some of this PEG may come out
21 during the tissue processing.

22 So the bottom line is, to really assess if PEG is present
23 in the choroid plexus epithelial cells, you can't just look for
24 vacuoles; you really need to do the immunohistochemistry, and
25 even that is somewhat controversial, or you need to do EM,

1 which is probably the gold standard.

2 So the question is, and I don't have an answer for this,
3 if you fill these choroid plexus epithelial cells up with
4 lysosomes containing PEG, how does that impact on the function
5 of the epithelial cell per se? And Dr. Lehtinen talked about
6 various pathways that potentially might be affected, but nobody
7 has shown any definitive effects of this accumulation of PEG.

8 So getting back to Question 1, I mean, I think the only
9 thing that is of any concern is this accumulation of PEG within
10 these epithelial cells, and nobody really has a clue what that
11 means from a safety standpoint.

12 I would point out one other thing, and that is that you
13 really can't compare grades of vacuolation between studies.
14 These are subjective grades. Now, sometimes pathologists will
15 take -- you know, if there are multiple dose groups, they might
16 take a very mild degree of vacuolation in the high-dose group
17 and call that marked because they want to see if there's a
18 treatment-related effect. So if you go to a lower dose, you
19 call that moderate. You can't compare. And again, the
20 vacuoles develop later, and so the degree of vacuolation may
21 not necessarily indicate the PEG load for that cell.

22 Now, the Society of Toxicologic Pathology has a group
23 that's looking into this. These are pathologists that are
24 familiar with these studies, and they're going to try to come
25 up with a standardized grading scheme which might allow for

1 these studies to be compared more accurately.

2 So I think that's it, unless there are questions.

3 DR. STOWELL: Thank you.

4 Dr. Manuelidis.

5 DR. MANUELIDIS: So I agree totally with what you say, as
6 a pathologist. I think, though, that more can be done in terms
7 of two issues. I haven't studied PEG in the choroid plexus, so
8 you know a great deal more about it than I do, and there is no
9 apparent toxic effect. But it does concern me, the children
10 concern me still because I don't see any experiments where
11 you're injecting even something like just PEG 40 at a very
12 young age and not letting it subside and go away but really
13 seeing what is the distribution of it.

14 And I asked somebody here, which I would love the answer
15 to as well, is one of the interesting places is the eye, which
16 is a direct way of seeing the brain, if there is a lot of PEG
17 there and whether you see anything happening in the eye because
18 it's so accessible, especially with some new types of
19 technologies that are not wildly expensive.

20 So the second thing is I was very happy to see the
21 ultrastructural studies were done. I do think that they
22 could've been done a little bit more extensively in terms of
23 function. So it's known that choroid plexus cells take up
24 certain kinds of molecules, and they can actually -- some of
25 them can be labeled in a very simple way so you can follow them

1 there, antibodies to certain small molecules.

2 And the question is would those molecules be changed in
3 the way they're taken up in a cell that has a lot of lysosomes
4 with PEG in it? And the reason I say that is because it's not
5 just the choroid plexus, but macrophages are an important part
6 of the innate immune system, and from what I've done with PEG
7 in my life, that actually can make macrophages to be able to
8 take up certain kinds of things much less effectively after
9 they are stuffed with PEG.

10 So those are two of the questions. And the third
11 question, as I mentioned, we're talking about the older people.
12 You've heard about the functional changes and the decrease, and
13 I think it would be really interesting, again, to have some
14 kind of at least short experimental study seeing what happens
15 in an older brain in an older animal, what is the change and
16 the difference?

17 Another thing that I think I would like to suggest as a
18 possibility is that CSF is pretty much simplified as comparison
19 with a cell, and I think one can do proteomic types of studies
20 very, very reasonably and seeing is the CSF really changed
21 after PEG. Is there a difference within a certain age group?
22 Okay. And then you can say does that mean something is
23 de-differentiating or having less of a function? Is it not
24 handling some of the factors the same way, or the enzymes that
25 actually deal with these things? So that's a second thing.

1 And then finally, I'd like to say that, having seen lots
2 of human brains with metabolic compromise at the end of life,
3 you can get vacuoles from many, many different things. It's a
4 phenotype, and it doesn't really tell you what the cause is.

5 DR. GARMAN: I should have mentioned that in some of these
6 studies, we have seen vacuolated macrophages. Well, I'm not
7 sure they're macrophages -- vacuolated cells in the choroid of
8 the eye. And we also have sometimes seen them around some of
9 the circumventricular organs.

10 And I should mention the CVOs, because there are six of
11 them in the midline. Some people consider the choroid plexus
12 as being the seventh CVO, circumventricular organ. And these
13 are important because they don't have a complete blood-brain
14 barrier, and I have seen some evidence of PEGylation either
15 around some of the CVOs or in areas that they project to.

16 And this is why I asked the question earlier about how
17 extensively the brain was sampled. I mean, typically in a
18 monkey brain, if you're going to be looking at a potentially
19 CNS-active compound, you're going to have at least, at minimum
20 I would say, a dozen sections, and you want to sample all of
21 these CVOs and also do immunohistochemistry on those to see if
22 there's evidence of penetration of the PEG.

23 DR. STOWELL: Thank you.

24 Do other people have comments on this question? I think
25 the focus here is on whether or not the observations made

1 during these preclinical studies have portended the clinical
2 outcomes, have significance for clinical outcomes, and whether
3 there is a reason to be concerned about those.

4 DR. PACKER: I don't think there's an excessive concern,
5 but I think it's really fair to say that the studies are still
6 relatively short term and you're talking about -- and if we
7 were only thinking about giving the drug intermittently,
8 preoperatively, or at times of bleeding, I think the
9 preclinical studies, although maybe not absolutely complete, if
10 you would do every -- everything would be quite reassuring.

11 Where I get lost in the process is if you're going to give
12 this drug every week, 52 weeks out of the year for the next 10
13 years in the population that might have the most to gain from
14 it, I don't think the preclinical studies have really addressed
15 all the things. I don't know if they can, but if you ask me,
16 honestly, that's where I'm a little bit lost in the process.

17 DR. STOWELL: Actually, the second question that the FDA
18 has posed really kind of follows from the issues that both of
19 you raised, and this is namely, sort of what is our level of
20 concern, what's our level for potential safety concerns with
21 this product, and particularly with regard to the vulnerable
22 populations, so the very young and the very old.

23 So I think, so the FDA would like to have a feeling from
24 us, if this is alarming, not concerning, some place in between,
25 and if it's different depending upon the age group that you're

1 talking about.

2 So I think Dr. Shapiro may want to comment.

3 DR. SHAPIRO: Yeah, thank you. Yeah, I was asked to start
4 the discussion on this question, and I think it does follow
5 from the comments that were made in response to the previous
6 question.

7 There are a lot of unknowns, so we're sort of asked to
8 speculate based on a preclinical or a nonclinical finding of
9 uncertain significance. But I guess it's incumbent on us to
10 discuss this because the absence of evidence is not the same as
11 evidence of absence.

12 So as I see it, there are two possible ways in which the
13 accumulation of PEG in choroid plexus epithelium could have an
14 effect on CNS development and function. One is relatively
15 straightforward, I think, having to do with alteration of CSF
16 fluid dynamics perhaps by transcytosis of PEG and accumulation
17 of PEG and CSF or effects on, you know, other protein
18 concentration and CSF leading to changes in CSF pressure.

19 And the other mechanism that I think could potentially be
20 significant, although we don't know, is perhaps the function of
21 choroid plexus epithelial cells might be altered in such a way
22 that there is secretion of factors that are important for CNS
23 development and is altered, for example, transthyretin or
24 folate. And again, we don't know if that's the case, and as
25 far as we can tell, there doesn't seem to be a function, an

1 effect on choroid plexus function. But again, we don't know.

2 So for either of those mechanisms, I think our highest
3 level of concern would be in the youngest patients, so you
4 know, perhaps in the 0- to 6-month age range, especially around
5 the newborn period when transcytosis of proteins through the
6 choroid plexus epithelium seems to be highest based on the
7 studies that I've looked at. So there's more movement of
8 protein into the CSF. The effects on CNS development are
9 probably more active during that age range. And so we might
10 expect that the developmental impact of either choroid plexus
11 dysfunction or of accumulation of some material in the CSF
12 that's not usually there is going to have a biggest impact on
13 that age group, let's say 0 to 6 months.

14 Thankfully, it looks like no patients enrolled in this
15 trial were within that age group, and it sounds like there are
16 sort of limited indications for this product in that age group.
17 So I'm not sure it's something that, practically speaking, you
18 need to worry about very much, although the proposed indication
19 does go down to birth.

20 In older children, let's say up to 6 months to 2 years,
21 you'd still perhaps worry about effects of CSF dynamics and of
22 regulatory factors secreted by the choroid plexus on
23 neurodevelopment. And my concern about following up with
24 children in that age range is that there might be changes that
25 are of some clinical significance that we're not really able to

1 by the animal data.

2 So I think I heard a suggestion from a combination of two
3 speakers on the other side, two panelists on the other side of
4 the table, to go forward with approval in general but to limit
5 the use from age -- from birth perhaps to 6 months, to
6 comprehensive hemophilia treatment centers that have the
7 resources to systematically monitor for neurologic effects
8 through a mechanism that's decided upon and skilled pediatric
9 neurologists doing the evaluations at those centers. And then
10 one would have to decide what number of subjects are followed
11 and for how long and you feel comfort with before reviewing
12 that again.

13 So, again, just to limit the use in the very young age
14 population to centers that have the skills and the knowledge to
15 follow it, and that would include an informed consent to go
16 over with the parent about what's known and not known in the
17 very young age group.

18 DR. STOWELL: So I guess your suggestion then would be
19 that the safety concerns really are this particular vulnerable
20 population, and that for the other patients, it should
21 otherwise go forward on its merits in terms of benefits and
22 risks and so forth.

23 DR. LEITMAN: I personally don't have a great concern in
24 the very -- based on everything I've heard, I do not have a
25 significant concern. So my recommendation is to try and come

1 to some consensus that --

2 DR. STOWELL: Um-hum.

3 DR. LEITMAN: -- FDA might be comfortable with. But I
4 personally feel, if I was given that informed consent as a
5 parent, I would probably sign it if I had a child. So I feel
6 assured by what I've heard today.

7 DR. STOWELL: Dr. Shapiro.

8 DR. SHAPIRO: So I think that that's an entirely
9 reasonable proposal, and I just -- you know, from the comments
10 I made earlier, I don't have any evidence-based reason to have
11 a significant concern other than that we just don't have
12 evidence. So I think it's unfortunate that that's what we
13 need. Especially if there are going to be, at some point in
14 the future, more PEGylated products of this type that are going
15 to be administered chronically to children, we really need some
16 kind of nonclinical data that indicates how the PEG is cleared
17 and what effects it has on choroid plexus function.

18 But in the absence of that, you know, all we have is this
19 evidence showing that PEG accumulates in the choroid plexus
20 cells, which we don't know what it does, if anything.

21 So I think it makes a lot of sense, given that the
22 theoretical concerns are probably highest, at least in my mind,
23 for young children, that special care be taken to monitor and
24 protect that population but recognize that, you know, despite
25 our concerns, it may still be an extremely useful drug for that

1 population under certain circumstances and to make those -- to
2 make our concerns into, I guess, a plan for protection of those
3 patients explicit. I would say probably -- I mean, from my
4 perspective, it probably would be a birth to 2-year age range,
5 but that certainly is a topic of further discussion.

6 DR. BASAVARAJU: What you're proposing really is approval
7 for older populations, but a study essentially for the younger
8 population, right, before it would be approved for that group
9 because that's what it sounds like. I mean, I would say
10 that from what I'm hearing is that 2 and higher, up to 65 or
11 something like that, it would be deemed to be safe, but in the
12 younger population you'd want a study to sort of have more
13 targeted neurologic or neuropsychiatric endpoints that are
14 monitored first.

15 DR. STOWELL: Dr. Chitlur has a comment.

16 DR. CHITLUR: The problem with picking 2 years as the
17 cutoff is that most children will require treatment before
18 that. And if I'm going to start somebody at 9 months or 8
19 months, or whenever the kid starts walking, on a product, I'm
20 not going to switch at 2 years if everything is going well. So
21 it makes no -- I mean, if it's safe --

22 DR. STOWELL: Dr. Chitlur, could you speak into your
23 microphone? Thank you.

24 DR. CHITLUR: Sorry. So what I'm saying is that if you
25 pick 2 years as the cutoff age, the problem is most kids are

1 already on prophylaxis by the time they hit a year or a little
2 over a year of age. As soon as they start walking is when we
3 put kids on primary prophylaxis so that we can prevent any
4 joint bleeding that can occur in these kids. So if I waited,
5 there's going to be a very small population of kids that are
6 actually going to be able to wait until they're 2 to be able to
7 start treatment.

8 DR. STOWELL: Dr. Ortel.

9 DR. ORTEL: So as I'm listening to this, I'm wondering is
10 it better, instead of having a certain age cutoff, to have a
11 certain length of time that patients are followed from when
12 they are put on the drug, because the question is accumulation
13 or exposure over time. So if you have a child starting at
14 6 months versus one starting at 18 months, you would follow
15 both of them for some length of time rather than mandated by a
16 certain age cutoff.

17 DR. BAKER: In terms of the follow-up, I was very
18 heartened to see the data that there were indeed 21 U.S.
19 hemophilia treatment centers as opposed to 5 or just 10. That
20 was very, very heartening. And to see that they were spread
21 geographically throughout the U.S., that was also very
22 heartening.

23 And I wish to just inform the Committee perhaps a little
24 further that there is a very robust, mature U.S. hemophilia
25 treatment center network that has been regionally organized

1 since about 1990 when the HIV crisis hit, and we are regionally
2 organized with oversight and required involvement of CDC
3 surveillance, initially for HIV but has expanded greatly. And
4 also we can be quickly mobilized in terms of the
5 sub-disciplines, meaning the nurses, the psychologists, the
6 social workers, the physical therapists, who each in their own
7 ways are looking at the neurodevelopmental, the cognitive
8 delays.

9 So this is a very mature, rapid, highly organized group of
10 team-based healthcare professionals for this rare disorder in
11 the United States that can -- with sufficient resources could
12 be mobilized to conduct the necessary robust, uniform,
13 validated studies to look exactly at the particular endpoints
14 to address the concerns that have been expressed around the
15 room. So I wanted to let people know that, who might be less
16 familiar with the U.S. hemophilia treatment center network.

17 And I want to also address my colleague across the table
18 in terms of the access barriers. There are absolutely only
19 about -- only about 70% of the U.S. population, hemophilia
20 population, is seen in this network. Some of that is due to
21 patient choice, but a lot of it is due to insurance
22 restrictions. And not just insurance restrictions among the
23 commercial insurers, but increasingly among the public
24 insurers, and I am specifically referring to managed Medicaid.

25 So yes, we have many vulnerable populations, both among

1 the commercial insured and the Medicaid population, who have
2 less and less access to these centers of excellence that the
3 U.S. government, through HRSA and the CDC, have invested many,
4 many dollars over the years. That is a treasure in this
5 country.

6 DR. STOWELL: Dr. Leitman.

7 DR. LEITMAN: I just wanted to clarify what I said. I
8 wasn't implying that I was in favor of a randomized controlled
9 study for the young age, and it helps to hear that the network
10 of comprehensive hemophilia treatment centers is so very well
11 organized and has so many resources as a group, a very cohesive
12 group, to collect data as a post-approval safety study from
13 birth forward.

14 And I was only concerned about the very, very young
15 infant, 0 to 6 months, who I thought was not well represented,
16 represented by perhaps one or two subjects in the trials
17 mentioned by the Sponsor, not by the 6-month plus, so just a
18 clarification.

19 DR. STOWELL: Dr. Packer.

20 DR. PACKER: As a child neurologist, I would really push
21 back that if you're looking for the very vulnerable and you're
22 also trying to balance that they aren't walking, that you can
23 certainly go 0 to 1 year of age. I don't think it's that easy
24 to evaluate some of the developmental issues in a 6- or 7-month
25 old, if you're going to really be taking the information.

1 And I keep coming back, and we'll talk about it in a
2 different point, that these centers may be wonderful, and I'm
3 very proud that we have all of these centers, but that doesn't
4 mean unless there's a systematic way to obtain developmental
5 data, some neurocognitive data, whatever you are concerned
6 about in this young population that we'll talk about, that even
7 the best center is going to give you data that's going to be
8 useful and analyzable at the end.

9 So if we're going to approve this for a young patient
10 population for prophylactic use, there are ways to build things
11 in to be better off answering the question 3 to 4 years from
12 now than we are. Now, if you take this with anecdotal data,
13 even at the best center, we'll be talking about this for the
14 next 5 years with no data, my opinion.

15 DR. DOBBS: I would just also, as a neurologist, second
16 what Dr. Packer just said, that if approved, this is really an
17 opportunity to learn more about the safety of these compounds
18 neurologically and neurodevelopmentally, which is really
19 missing from the current body of literature.

20 DR. PACKER: One last thing. These compounds will be
21 expensive enough, will be enough of a financial issue that I
22 think it will be -- there will be a way to finance, I believe,
23 that kind of neurocognitive issue data that you need. No one's
24 going to give this drug away. Someone is going to be paying a
25 lot of money for it, and some of that could be potentially

1 reinvested and to answering that question for the younger
2 patient population.

3 DR. STOWELL: Dr. Chitlur, you had your hand up.

4 DR. CHITLUR: I completely agree. I think at this point
5 the best way forward would be to allow the drug to be used by
6 the patients that really need it, which are the kids, and they
7 are the really young ones, and for us to systematically collect
8 the data so that moving forward we will be able to answer the
9 question intelligently rather than based on rat models.

10 DR. STOWELL: I believe Dr. DeKosky on the phone has a
11 comment for us. Dr. DeKosky?

12 DR. DeKOSKY: Yes, thank you very much. Can you hear me?

13 DR. STOWELL: Yes, we can.

14 DR. DeKOSKY: Yeah, I apologize. I was disconnected
15 inadvertently for about 10 minutes and probably missed a bit of
16 the discussion.

17 My last comments were that we know that it fills vacuoles.
18 We don't know how much or how harmful it is, and we don't know
19 the half-life. However, finding a way not only to monitor the
20 children, because we can always stop it and we know that it
21 does go away if it is kept on long enough, if it's withheld
22 long enough, we could focus on trying to find a noninvasive way
23 to answer this question for this PEG compound and perhaps for
24 others, and that would be with MR spectroscopy, noninvasive.

25 It could be done on small children and would hopefully,

1 once we find ways to determine sensitivity of the methodology,
2 answer the question from a developmental standpoint as well as
3 from a longitudinal standpoint. I don't think there is much of
4 a significant problem in the overage population, one of the
5 groups that I was asked to think about specifically. But this
6 would also be an excellent group if they stay on the medication
7 in midlife for a significant period of time to be able to
8 determine whether or not there is accumulation, which in and of
9 itself does not necessarily mean there would be disruption of
10 the metabolic, and the CSF provision effects of what the plexus
11 does.

12 Again, if there was a way to do lumbar punctures, that
13 would help us immediately with some of the trophic and
14 transthyretin concerns. But unless there is an easy way to do
15 that with guaranteed safety, I think we're limited to
16 noninvasive things and to assessments of people over time.
17 Overall, it may be safer to give the drug than not to give it.

18 DR. STOWELL: Other comments?

19 DR. SHAPIRO: Just to follow up on the last comment by
20 Dr. DeKosky, I think it would certainly be interesting to do MR
21 spectroscopy to look at various metabolites in the brain, but I
22 would not advocate doing that for -- as part of the follow-up
23 for children receiving this medication, mainly because in the
24 young children that we're interested in, it would require
25 anesthesia, and I think the risk of anesthesia probably

1 outweighs any benefit that we might derive from this kind of MR
2 spectroscopy study. So it would be interesting, and I
3 definitely think that we need more animal studies about the
4 metabolism of PEG compounds.

5 DR. STOWELL: Dr. Packer.

6 DR. DeKOSKY: Well, I have no --

7 DR. STOWELL: Sorry. Dr. DeKosky.

8 DR. DeKOSKY: I have no --

9 DR. STOWELL: Go ahead.

10 DR. DeKOSKY: I have no objection to that. I agree. My
11 thought was, number one, do this longitudinally to people who
12 would be able to cooperate in the scanner. I wasn't thinking
13 of going immediately to children. We first need to know if it
14 does build up or not.

15 And second, we don't know how long this will take, but we
16 certainly could do an animal study to prove the principle that
17 you could reliably detect different levels in MRS and doing
18 this in cyns, or in cynomolgus monkeys, would give us an answer
19 to whether or not it is detectable or not. Yeah, I wouldn't
20 anesthetize anyone to do something like this.

21 DR. PACKER: And I just wanted to jump in about the
22 sedation issue and the LP issue. Even if you have biomarkers,
23 you'll have nothing to correlate it with unless you know if it
24 is two classes of patients, some who have developmental issues
25 and some who don't have developmental issues. So first, you've

1 got to decide if there's a problem. Then you can potentially
2 use a biomarker to help separate patients who decide to have
3 the problem.

4 So I have difficulty in jumping into any MR or CSF studies
5 or anything that even hints of being invasive unless we really
6 know that there's a problem to follow so we can separate the
7 patient populations. Animal data would be great.

8 DR. MANUELIDIS: In terms of the animal data, I think the
9 FDA has their own CSF that's collected. It's right there, it's
10 sitting, it's waiting to be used. I mean, it seems to me that
11 one of the recommendations that we could use is to say this
12 should be supporting in some way to look at some of these
13 things. What is the CSF stuff that they have already on board?
14 And maybe as well to recommend that it be done independently,
15 you know, by those who have the drug.

16 DR. STOWELL: I think I'd like to move along to the third
17 question, which is basically the same question, except here
18 we're looking at if we think there are differences in the risks
19 for patients or the possible safety risks for patients who
20 would receive this product intermittently versus those who
21 would be on maintenance therapy over the long term.

22 And I think Dr. Chiadi Onyike is going to make a few
23 comments.

24 DR. ONYIKE: So firstly I'd say, in my estimation, it
25 seems that the discussion has taken the format in the sense

1 that the comments have already bled into Questions 3, 4, and 5,
2 so I'll stay short because there's not going to be much to add.

3 The first thing I would say is that the only difference
4 between intermittent use and chronic use is uncertainty. I
5 don't think that we have any data on the table from the
6 clinical trial or from any other source suggesting that there
7 is more danger or that there's more suffering, let me put it
8 that way, in taking it chronically versus say intermittent use
9 in a perioperative sense or in a trauma sense.

10 And so really, what we have is the absence of any
11 indication that this is dangerous and therefore, and I think
12 very persuasive arguments from my perspective, that we should
13 not be using arbitrary age cutoffs, especially if those
14 arbitrary age cutoffs will prevent people who will need the
15 medication from getting it when they should.

16 So what we're really talking about, then, are vulnerable
17 populations, very young people, maybe older people who might,
18 you know, who might be suffering age-related cognitive decline
19 or something more sinister, perhaps people with other
20 neurodegenerative or neurological or neuropsychiatric
21 conditions where there might then be interplay between whatever
22 effects we imagine might come from this drug and whatever else
23 might be happening in the brain.

24 And I think people have spoken eloquently to the need for
25 careful monitoring. I think if there is anything about the

1 clinical -- the adverse effects data from the trial is that
2 their monitoring was not sufficiently broad or deep or
3 systematic. I have confidence these are very solid
4 investigators and experienced experts in this field, so I have
5 no doubt that they would not have missed anything important.

6 But nevertheless, vulnerable populations do not
7 necessarily make it into clinical trials or do not make it in
8 sufficient numbers, as Dr. Lerner has pointed out. And so it's
9 very important therefore to have a robust post-surveillance
10 program. I think it should be formal and informal.

11 Mr. Templin has spoken very clearly about the issue of fidelity
12 to any guidelines that require that people -- that the
13 prescriber be in a center. And so it's important that
14 any -- you know, there's a best precedent, for example, for
15 postmarketing constraints that follow the drug.

16 Clozapine is very good example of this. I don't know if
17 everyone is familiar with clozapine. It's an antipsychotic
18 medication with rigid monitoring guidelines for people who are
19 prescribed this medication, and in the first year they have to
20 have blood draws every week. And then, over time, the
21 constraint is relaxed as there's greater and greater confidence
22 that the medication is safe. And I think a similar regime
23 would be appropriate in this situation, not necessarily as
24 rigid. And I'm not a subject matter expert, so I don't presume
25 to say what kind of monitoring is essential.

1 Finally, I would say it's not obvious to me that we can
2 sort out, in this meeting, what kinds of technologies or
3 psychometric instruments -- actually, as mentioned, by the way,
4 that I am a neuropsychiatrist, an expert in neurodegenerative
5 disease, a clinical epidemiologist with specific interest in
6 phenotype measurements, and I don't know that you can
7 necessarily flesh it out here which instruments specifically
8 should be used to monitor cognition or behavior or function.
9 But it is essential that people with experience with this
10 condition and with experience in measurement of cognition,
11 whether it's in children or in elders, be engaged to help
12 fashion the appropriate monitoring regime, at least for a
13 formal program.

14 And then in that informal program, there might be
15 something that is more -- there might be measures that are more
16 amenable to bedside use and that are more focused, I would say,
17 on serious things so you're not burdening every prescriber
18 across the land with a battery of things that will essentially
19 make it tedious to care for these people.

20 That's it in a nutshell.

21 DR. STOWELL: Dr. Ortel.

22 DR. ORTEL: So as has been said, we've kind of touched on
23 this for the last couple of hours, the big issues, in my mind,
24 are with intermittent use. Here, we're looking at the
25 potential -- you're talking about maybe once a year or even

1 less frequently that a patient could be exposed to this type of
2 agent at one extreme. It could be several times a year at the
3 other extreme. So how to analyze that patient, I think, is
4 something very different than the patient who's being put on
5 this for prophylaxis with the intent being that they would
6 continue that drug ongoing.

7 I think that here, trying to design additional studies in
8 the preclinical sense can answer specific questions, but I'm
9 not sure if they can help at this point.

10 I do like the idea of a very systematic approach. I think
11 something that's drawn up and recommended as far as what types
12 of assessments, at what age those should be made in the
13 chronic, possibly lifelong patient population would be of
14 value. I think making sure that the people administering those
15 tests have done them repeatedly and feel comfortable doing them
16 so that therefore you could compare across.

17 This is an uncommon disease, and from the perspective of
18 even the hemophilia treatment centers, the number of patients
19 who actually would fall in 0.2, the hemophilia B, the chronic
20 treatment, you're not talking about a lot of patients at any
21 given center. So having the expertise built in for the
22 neurologic assessments or for the development assessments and
23 making sure that it's following some systematic protocol is
24 important, and then having some idea of what would this -- what
25 will the signal be that will trigger a response and what should

1 that response be. What are you going to look for?

2 DR. STOWELL: We have a situation here -- we have a drug
3 for a rare disease. If we want to -- we feel as though we need
4 more information to make a decision about its safety and so on.
5 The problem is we could do more studies over the next 5 years
6 and accrue another 50 or 60 patients or something like that and
7 not be that much farther along.

8 So I think the mechanism for this, as for a lot of rare
9 diseases, is some sort of postmarketing mechanism whereby we
10 can collect data prospectively, and it probably will end up
11 being a larger number of people, and then use that to put
12 together to inform us, because we do another study, but we're
13 not going to detect a low-frequency event and especially
14 amongst the very small population.

15 Dr. Leitman.

16 DR. LEITMAN: So I know the FDA asked questions in a
17 specific way, and they'd like to hear the specific discussion.
18 So there are two, there are Point 1 and Point 2 in this
19 question. So I feel that I don't need any further evidence,
20 that I'm convinced of safety by the evidence I've seen today
21 for Point 1, which is intermittent use, because whatever
22 storage in whatever cells it occurs, it is transient and
23 reversible. So on demand, as needed for bleeding or pre-op, I
24 feel comfortable that there is sufficient information and data
25 provided by the Sponsor to assure comfort with the safety of

1 the drug.

2 DR. STOWELL: How do other people feel about this issue of
3 intermittent versus chronic use?

4 DR. PACKER: As I said previously, I think there's no
5 major issue on intermittent use, and we can talk about
6 safeguards for chronic use. But intermittent use is fairly
7 straightforward.

8 DR. ONYIKE: I don't see -- if I may.

9 DR. STOWELL: I see a few people also nodding.

10 DR. ONYIKE: Yeah, I don't see any issue for intermittent
11 use at all. I don't see any danger for chronic use, only
12 unanswered questions.

13 DR. STOWELL: So let's move along, then, to the next
14 question, which is Question 4 and which has to do with what
15 additional assessments might be done, either short term or long
16 term, to help ensure the safety of this product. And I think
17 we've already really begun that discussion in terms of some of
18 the postmarketing types of data that we would like to collect.
19 People have mentioned developmental and cognitive milestones,
20 neurologic assessments and so forth.

21 Dr. Packer, you have a comment?

22 DR. PACKER: I think a lot of this has been mentioned. I
23 think the basic things that you would do in an older child and
24 an adult, headaches, confusion, unsteadiness, seizures,
25 unexplained somnolence or other disturbances of consciousness,

1 ATHN database. So all of the information is collected on these
2 patients, so adding a section on that database that
3 specifically caters to looking at cognitive outcomes for the
4 age groups that we are concerned about, which should not
5 hopefully be too difficult to do.

6 So I think at this point of time, I feel comfortable
7 taking this to my patients and saying that here's another
8 option for you to be able to avail of.

9 DR. MANUELIDIS: I'm afraid I'm a little less sanguine
10 because I think that there are two things I totally agree with
11 Dr. Packer. I think that that can be put in, and it can be
12 very safe. I still feel it's not 30 years to do an experiment
13 that takes 1 year to test some of the functions and to do some
14 of the injections and see what happens acutely in the young
15 animal, a very young animal, and follow it and see if there are
16 functional studies. I suggested some that take almost no time,
17 like the proteomics, etc., of the CSF, like the amount of
18 collection with two chronic doses, and then testing some of the
19 functional uptake of molecules and the transient stuff and the
20 edema and the hypotonicity and the protein and the CSF.

21 I think those are very straightforward studies that can be
22 done, and I would love to see those studies done, so much so
23 that they say when those studies are done, which I think can be
24 done very rapidly, they can just basically say that's going to
25 be one of the things that would say 0 to 2 years old can be

1 treated with it.

2 DR. STOWELL: Dr. Dobbs, do you have any comments that you
3 would like to add?

4 DR. DOBBS: I thought I was Question 5.

5 DR. STOWELL: Yes, you are Question 5, but I think we have
6 really sort of segued into Question 5.

7 DR. DOBBS: Then let's go with Question 5. You're right,
8 sir, it actually is a very similar question.

9 A lot of Question 5 has already been discussed around the
10 room. I would say that I don't have a recommendation for any
11 additional clinical studies. I do agree that with the CSF, the
12 animal CSF that's already available that -- I mean, it should
13 be assayed.

14 What could we do going forward with postmarketing studies?
15 Well, the idea of checking CSF or doing MRIs in the patients, I
16 agree, that's probably out as a routine matter. However, if
17 it's done for other reasons, other clinical reasons, I think
18 that we should look at it and that we should examine that CSF
19 if it's collected for other reasons.

20 I think we should follow cognitive outcomes in the
21 postmarket data, probably requiring more neurocognitive data in
22 pediatrics with some validated standardized tests.

23 It would be reasonable to require a full neurological
24 exam, pre and post, especially in those folks that are at a
25 fixed developmental stage, such as adults.

1 It would be reasonable to monitor children for signs and
2 symptoms of hydrocephalus. It would be reasonable to monitor
3 for papilledema or other visual disturbances.

4 But again, most of all in all of this, whatever we really
5 do recommend, we need standardization, validation to do this
6 the same for all of the patients. I could have more
7 discussion, but that's really all that I have to recommend on
8 this.

9 DR. STOWELL: Does anyone else have any comments?

10 DR. DeKOSKY: Yeah, this is Dr. DeKosky.

11 DR. STOWELL: Yes, please.

12 DR. DeKOSKY: With respect to -- and with all due respect
13 to Dr. Packer, biomarkers were developed as a way to try and
14 anticipate and potentially follow changes that might lead, at
15 least in my world -- I'm also a neuropsychiatrist -- to be able
16 to head off or shorten the time to know something before it
17 would affect cognition, or if it is.

18 And I'm sitting here listening to the discussion about how
19 we don't want to wait terribly long to find out, and yet we are
20 dealing with vacuole storage of a compound, the toxicity of
21 which is not known, hasn't been demonstrated, and it's
22 uncertain as to whether it will continue to build up or, as
23 with all of the animals and therefore likely in us as well, it
24 will reach a steady state.

25 So I think the question is going to be if it reaches a

1 steady state, meaning it is removed, is it going to be toxic at
2 the level of steady state that it achieves? No evidence of
3 that from the admittedly few people that we have data for so
4 far. But I do think that it's appropriate to dismiss
5 biomarkers such as LP in anybody with hemophilia, unless it's
6 absolutely necessary or unless they're under the big cover of a
7 recent injection of factor IX, and it is also easy to say,
8 well, we wouldn't want to give anesthesia to a small child,
9 which I certainly agree with. I didn't decide to say it, but I
10 recognize I should be very clear about this since I spend more
11 of my time in the demented and elderly population.

12 On the other hand, animal studies that show us the
13 metabolic output, we've already said, aren't going to be all
14 that helpful in humans because both the time courses as well as
15 the tox aren't exactly comparable. Nothing terrible happened,
16 but if we came up with some kind of, for example, interference
17 with folate metabolism or TTR, with transthyretin, if we were
18 using doses that were tens multiples of the standard dose, how
19 would that help us with respect to the humans?

20 I think this is a case in which we need to get down to
21 some kind of reasonable dose, not just excess dose, and it's my
22 own view, to determine exactly how disruptive it might be,
23 given how high, although briefly, the levels go up and then
24 come down even within a week.

25 So I would say that although it is easy enough to look at

1 specific cognitive testing, especially in anyone from their
2 teenage years on up, but especially 25-year-olds who are
3 relatively mature with respect to brain formation, I think it
4 would be very useful to look hard for markers that would help
5 us without having to wait to see a problem with children
6 because there was some problem with the medication that we did
7 not anticipate would happen.

8 I think it's useful to -- I keep reminding myself there's
9 nothing but histology, there is no evidence of harm from this
10 deposition, but none of us like depositing anything in the
11 brain or the CSF or the choroid plexus of any child.

12 Now, there is a benefit-risk ratio here, which I believe,
13 based on the data we have about harm, certainly says that this
14 has great potential to be very helpful. The only question, how
15 do we do this and how invasive should we make it, is for the
16 oversight over time, keeping in mind both that we don't want to
17 do anything to children below a certain age. And I would defer
18 to the pediatricians about when you might have to use
19 anesthesia or if you should or you just wait and do adults.
20 But I would also look hard for a way to assess accumulation of
21 this material in people over time, or accumulation in people,
22 maybe even volunteers who get it, and then see how long the
23 half-life is in a human, something which we don't have.

24 So to some extent, this is a big shadow on the wall of
25 what might be, and I don't know that monitoring should be worse

1 than the disease or more problematic than taking care of the
2 disease. I think we have kind of swung back and forth between
3 there's some feeling of certainty that there is going to be a
4 problem because we see changes in histology and the idea that
5 this is a relatively benign product that does not appear to be
6 doing any harm. At least it hasn't so far.

7 The only other comment I have is that while someone said
8 that PEG products are all different, that's true, but it would
9 be useful to look at the PEG which was the same molecular
10 weight as the PEG used here and see if there are any data in
11 that group or any safety studies being followed in some of
12 those other patients. That would help to add to a thin
13 database which is thin in large part because this is an
14 uncommon disease.

15 Thank you.

16 DR. STOWELL: Okay, any -- oh, Dr. Chitlur.

17 DR. CHITLUR: Sorry, I should have asked this earlier. Is
18 there any data of use in pregnant women?

19 DR. STOWELL: Is there any data --

20 DR. CHITLUR: Of use of a PEGylated product in pregnant
21 women and if there is any effect on --

22 DR. SEREMETIS: Yes, there are data available,
23 particularly with Cimzia, which is a 40k PEG molecule. And do
24 you want to hear about that data, or is a yes sufficient?

25 DR. CHITLUR: Does the data show -- indicate any issues

1 with the fetus or the mother?

2 DR. SEREMETIS: Let me ask Dr. Sims to come to the
3 microphone.

4 DR. SIMS: We can give you the reference. There is a
5 published paper on pregnant women where they were given Cimzia
6 all the way through gestation, all the way through pregnancy.
7 Some women had -- were on Cimzia before pregnancy as well as
8 being on it long term, and they measured maternal plasma PEG
9 levels and core blood levels.

10 DR. CHITLUR: Okay.

11 DR. SIMS: There's no information about the outcome in the
12 children.

13 DR. STOWELL: Dr. Leitman.

14 DR. LEITMAN: Does 40-kDa PEG cross the placenta? Because
15 the developing brain in the fetus, it has to be the most
16 vulnerable time of development.

17 DR. SIMS: I can't remember all the details of that paper.
18 We can give you the reference so you can look for yourself.
19 But my memory is, is that there was no evidence for that.

20 DR. STOWELL: Any other comments?

21 (No response.)

22 DR. STOWELL: So before we conclude this session, I'd like
23 to ask the FDA if there are other points that they wish that we
24 would address or --

25 DR. BRYAN: No. I really appreciate this thorough

1 discussion by the Committee. It's really been very helpful to
2 us, and we'll appreciate and consider all of your comments in
3 reviewing the BLA. And again, thank you to Novo Nordisk for
4 developing this product.

5 DR. STOWELL: So we'll start our break a little bit on the
6 early side, and let's plan to reconvene at 5 after, so 15
7 minutes from now.

8 (Off the record at 2:49 p.m.)

9 (On the record at 3:05 p.m.)

10 DR. STOWELL: So for this afternoon we have one item on
11 the docket. This is an informational item, an update to the
12 Committee from the FDA. The FDA is not seeking advice or
13 guidance on this particular topic, but we should feel free to
14 ask the FDA or any of the speakers questions, should they come
15 up.

16 So this is regarding to a request for information that the
17 FDA put out last summer about the MSM deferral policy, and
18 Jennifer Scharpf from the FDA is going to be summarizing those
19 results for us.

20 MS. SCHARPF: Good afternoon. My name is Jennifer
21 Scharpf, and I'm the Associate Director for Policy in the
22 Office of Blood Research and Review in CBER. My presentation
23 will provide you with a summary of the responses to the FDA
24 docket titled "Blood Donor Deferral Policy for Reducing the
25 Risk of HIV Transmission by Blood and Blood Products." And as

1 noted on the slide, this docket was opened in July of 2016.

2 During my presentation I will present FDA's current
3 recommendations to reduce the risk of HIV transmission through
4 blood and blood products, review the rationale for the recent
5 change to the donor deferral policy for men who have sex with
6 men, referred to as MSM throughout the remainder of the
7 presentation. I'll summarize comments to the public docket,
8 which was opened in July of 2016, regarding HIV deferral
9 policies. And then I'll share progress on the Transfusion-
10 Transmissible Infection Monitoring System, referred to as
11 TTIMS. And I'll conclude the presentation by briefly
12 discussing FDA's future plans.

13 FDA's current recommendations are provided in a guidance
14 document titled "Revised Recommendations for Reducing the Risk
15 of HIV Transmission by Blood and Blood Components." This final
16 guidance was issued in December of 2015.

17 The recommendations contained within the guidance replaced
18 the 1992 memorandum to blood establishments and applies to the
19 collection of blood and blood components, including source
20 plasma.

21 The guidance provides FDA's revised recommendations for
22 donor educational materials, donor questioning, deferral, and
23 requalification, as well as blood product management with
24 respect to HIV risk.

25 FDA's current donor deferral recommendations are as

1 follows:

2 The guidance recommends indefinite deferral from an
3 individual that has ever had a positive HIV test, exchanged
4 money for sex, or has a history of non-prescription injection
5 drug use. And there's a related recommendation for a deferral
6 for 12 months for a donor who has had sex with an individual
7 having this history.

8 We recommend a 12-month deferral for receipt of allogenic
9 blood transfusion, exposure to someone else's blood through
10 needle stick, or receipt of a tattoo, ear, or body piercing,
11 with certain exceptions as noted below.

12 We recommend a 12-month deferral following treatment or
13 diagnosis of syphilis or gonorrhea, and finally, a 12-month
14 deferral for men who have had sex with another man, and a
15 related 12-month deferral for a woman who has had sex with a
16 man having this history.

17 Over the next few slides I will review how FDA reached the
18 current policy decision for deferral of MSM.

19 Since testing for HIV first became available in 1985,
20 there have been calls for revising the blood donor deferral
21 policy.

22 In June of 2010, the HHS Advisory Committee on Blood
23 Safety and Availability recommended that the current donor
24 deferral policies are suboptimal but that available scientific
25 data are inadequate to support change to a specific alternative

1 policy, and the Committee recommended research to inform a
2 possible policy change.

3 Subsequently, the Public Health Service, Blood, Organ and
4 Tissue Safety working group designed and implemented one
5 operational assessment and three research studies to help
6 inform a potential policy change. And I'll outline these
7 studies in the next slide.

8 So a number of different lines of evidence, including the
9 studies recommended by the HHS Advisory Committee and designed
10 by the PHS working group, supported a policy change. They
11 included the QRE, or Quarantine Release Error Task Force
12 Assessment. This operational assessment examined quarantine
13 release errors or accidental release of an unsuitable unit and
14 concluded that QREs contribute minimally to the risk of HIV
15 transmission to the blood supply.

16 The Donor History Questionnaire Study conducted cognitive
17 interviews with potential blood donors and found that
18 individuals responded to the questions posed in the donor
19 history questionnaire subjectively by answering is my blood
20 safe, rather than providing the response to the literal
21 questions posed in the questionnaire. The study also made
22 recommendations for potential improvements to the donor history
23 questionnaire and educational materials.

24 The REDS-II study, noted on this slide, was a pilot
25 surveillance study that evaluated HIV, HBV, HCV, and HTLV in

1 50% of blood donors and assessed behavioral risk factors. An
2 important finding of this study was that sex with an
3 HIV-positive partner and history of male-to-male sex were the
4 leading independent risk factors associated with HIV-positive
5 blood donors, and this is consistent with the known
6 epidemiology of such infections in the U.S.

7 The REDS-III BloodDROPS study examined the opinions of MSM
8 regarding FDA's blood donor deferral policy and found a
9 potential increase in the proportion of blood donors reporting
10 MSM behavior, suggesting a decrease in compliance with the
11 indefinite deferral policy.

12 And finally, FDA considered experience in Australia, a
13 country with similar demographics and HIV epidemiology as in
14 the U.S. A study of Australian blood donors reported no change
15 in HIV-positive donations in the 5 years before and 5 years
16 after Australia made a change in their deferral policy to
17 1 year for MSM.

18 So the results of the studies that I described above were
19 presented to the HHS Advisory Committee on Blood and Tissue
20 Safety and Availability in November of 2014. The committee
21 voted 16 to 2 to recommend a policy change to a 1-year MSM
22 deferral.

23 The committee also recommended the establishment of a
24 robust system to monitor the safety of the blood supply and a
25 communication plan on the policy change targeted to all

1 stakeholders.

2 The outcome of the Advisory Committee was then summarized
3 at the FDA Blood Products Advisory Committee in early December
4 2014. And FDA announced that it would consider a change to a
5 1-year deferral policy for MSM in late December 2014.

6 FDA then issued draft guidance containing recommendations
7 for reducing the risk of HIV transmission by blood and blood
8 products in May of 2015.

9 We received approximately 700 comments to the docket in
10 response to the draft guidance. The comments were evenly
11 divided between calls for FDA to recommend a shorter deferral
12 period for MSM or to move to individual risk assessment
13 strategies and calls for FDA to leave the policy, indefinite
14 deferral policy for MSM unchanged.

15 After careful consideration, FDA determined that the best
16 available evidence supported a change to a 12-month deferral
17 for MSM, as well as the implementation of the Transfusion-
18 Transmissible Infection Monitoring System, which I'll describe
19 in more detail in future slides. And as I mentioned earlier,
20 FDA issued a final guidance in December of 2015.

21 However, when we announced the revised donor deferral
22 policies at that time, we noted a commitment to continuing to
23 further evaluate and potentially progress policies based on
24 available scientific evidence.

25 We anticipate collaboration with other government agencies

1 and with stakeholders to consider whether emerging scientific
2 evidence and information gained from the TTIMS will support
3 alternative strategies to time-based behavioral deferrals. And
4 one strategy to be further explored includes the evaluation of
5 individual risk assessment questionnaires for all blood donors.

6 So one strategy employed by FDA to gather relevant
7 scientific evidence was to open a public docket. We issued a
8 *Federal Register* notice in July of 2016 announcing the
9 establishment of the docket and requesting comments, supported
10 by scientific evidence, regarding potential blood donor
11 deferral policy options to reduce the risk of HIV transmission.

12 Specifically, we requested comments on alternatives to
13 time-based deferral policies and the feasibility of individual
14 risk assessment strategies, as well as the design of potential
15 scientific studies on the feasibility and effectiveness of
16 alternative deferral options.

17 And the notice posed six questions addressing deferral
18 policies based on individual risk assessment. The six
19 questions posed in the notice were as follows:

- 20 1. What questions would most effectively identify
21 individuals at risk of transmitting HIV through
22 blood donation?
- 23 2. Are there specific questions that could be asked
24 that might best capture the recent risk of a
25 donor acquiring HIV infection, such as within the

- 1 2 to 4 weeks immediately preceding blood
2 donation?
- 3 3. How specific can questions be regarding sexual
4 practices while remaining understandable and
5 acceptable to all blood donors? For example,
6 could questions about specific sexual behaviors
7 be asked if they helped to identify which donors
8 should be at least temporarily deferred because
9 of risk factors? To the extent the questions are
10 explicit about sexual practices, how willing will
11 donors be to answer such questions accurately?
- 12 4. Under what circumstances would a short deferral
13 period for high-risk behavior be appropriate?
14 And for each short deferral period identified,
15 please specify the duration of the deferral and
16 provide scientific rationale.
- 17 5. What changes might be necessary within blood
18 collection establishments to assure that
19 accurate, individual HIV risk assessments are
20 performed? And finally,
- 21 6. How best to design a potential study to evaluate
22 the feasibility and effectiveness of alternative
23 deferral options such as individual risk
24 assessment?

25 As I mentioned, the docket was established on July 26th,

1 2016, and it was closed on November 25th of the same year.

2 We received a total of 670 responses from a variety of
3 stakeholders, including:

- 4 • Individuals
- 5 • Blood donors
- 6 • Advocacy groups
- 7 • Academic and research institutions
- 8 • Healthcare providers, including HIV physicians
- 9 • Local and state governments, including health
10 departments
- 11 • Medical associations
- 12 • Blood product recipients, as well as the
- 13 • Blood collection industry and device manufacturers

14 The 670 responses can be categorized as follows:

- 15 • 517 comments were against further change in the
16 deferral policy.
- 17 • 86 comments were in support of further change in
18 the deferral policy, and this includes one petition
19 with 300 signatures.
- 20 • 35 comments were not responsive to the request for
21 comments.
- 22 • And 32 of the comments provided were responsive to
23 the specific questions posed in the notice.

24 And I should note that among the 517 responses that were
25 against a further change, 252 of those comments appeared linked

1 to a single write-in campaign because of the similar responses.

2 So this slide is simply a pictorial representation of the
3 types of comments we received, and as you can see, the majority
4 of commenters did not support further change in FDA's policy.

5 So the policies suggested by the respondents covered a
6 broad spectrum. Many commenters advocated for no further
7 policy change or a return to the indefinite deferral period for
8 MSM, while others recommended no deferral period for low-risk
9 MSM and an abbreviated 2- to 3-week deferral for MSM at high
10 risk for HIV.

11 Multiple commenters noted the need for an improved donor
12 questionnaire for all donors that more accurately assesses
13 risk.

14 And commenters made note about the need for privacy and
15 the potential benefit of electronic responses or specially
16 trained staff to administer the questionnaire.

17 Several commenters called for continued improvement in
18 donor testing technology to reduce the window period or for the
19 implementation of pathogen reduction technology for blood
20 components.

21 Some commenters suggested additional interventions by
22 blood collection establishments, such as the implementation of
23 rapid HIV testing and counseling of high-risk donors at blood
24 centers.

25 The responses included a wide range of recommendations for

1 assessing risk. While some respondents favored a more simple,
2 streamlined questionnaire, others suggested a complex set of
3 questionnaires to assess risk. Some commenters recommended
4 questioning all donors for risk, while others supported a more
5 customized approach. And respondents also provided varying
6 definitions of high-risk MSM activity.

7 Finally, a cross-section of commenters concluded that data
8 are not yet available to evaluate the recent change to a
9 12-month deferral for MSM.

10 Over the next several slides I will summarize the
11 responses received to the six questions in the notice.

12 The first question asked: What questions would most
13 effectively identify individuals at risk of transmitting HIV
14 through blood donation? In response to this question,
15 commenters recommended extending risk questions to all donors.

16 Several commenters suggested to ask potential donors about
17 monogamy, unprotected sex, new sexual partners, sexual partners
18 of unknown or positive HIV status, specific sexual practices,
19 and injection drug use.

20 Another commenter suggested to model questions based on
21 risk index tools developed for healthcare providers to identify
22 MSM at high risk of HIV. These questions included addressing
23 certain risk factors in the past 6 months to 1 year, such as
24 number of partners, episodes of unprotected sex, and use of
25 methamphetamine.

1 Question 2 asked: Are there specific questions that could
2 be asked that might best capture the recent risk of a donor
3 acquiring HIV infection, such as within the 2 to 4 weeks
4 immediately preceding blood donation?

5 One commenter recommended asking all donors about
6 monogamy, number of sexual partners, and use of safe sex
7 practices in the weeks immediately preceding donation, as well
8 as exposure to blood products, tattoos and piercings, and
9 certain medical procedures in the past 3 months and injection
10 drug use in the past year.

11 One commenter suggested, for MSM, new sexual partners in
12 the past weeks should be assessed.

13 Another commenter suggested stratifying potential donors
14 into high, medium, and low-risk groups as follows:

15 The high-risk group would include injection drug users and
16 commercial sex workers. This group would have the longest
17 deferral period, possibly an indefinite deferral period.

18 The medium-risk group would include MSM with multiple male
19 partners, a history of unprotected sex, one or more HIV-
20 positive partners in the past 2 to 4 weeks. And this group
21 would be deferred for 30 days.

22 The low-risk group would include MSM with consistent
23 condom use and/or pre-exposure prophylaxis, or PrEP. The
24 commenter recommended that this group would have no deferral
25 period.

1 With respect to Question 3, how specific can the questions
2 be regarding sexual practices while remaining understandable
3 and acceptable to all blood donors, we received the following
4 responses:

- 5 • Yes/no questions with respect to monogamy, new
6 partners, and condom use could be considered
7 acceptable.
- 8 • All donors should be asked high-risk questions, but
9 the questionnaire could be structured to ask MSM-
10 specific risk questions.
- 11 • Specific questions are likely to result in more
12 accurate responses, and
- 13 • Recommendations to administer the questionnaire
14 electronically and privately.

15 In Question 4 we asked: Under what circumstances would a
16 short deferral period for high-risk behavior be appropriate?
17 And for each short deferral period identified, please specify
18 the duration of the deferral and provide the scientific
19 rationale.

20 One commenter stated that a 3-month deferral would be
21 acceptable for a new partner without condom use, and another
22 commenter recommended a 1-month deferral for high-risk behavior
23 would be acceptable given the accuracy of nucleic acid testing.

24 The fifth question in the notice asked: What changes
25 might be necessary within blood collection establishments to

1 assure that accurate, individual HIV risk assessments are
2 performed?

3 The commenters recommended questioning in a private
4 environment, electronic administration of the questionnaire,
5 staff training in cultural competency to ask sensitive
6 questions, and to include an option of responding "I don't
7 know" to discourage donors from guessing.

8 And finally we asked how best to design a potential study
9 to evaluate the feasibility and effectiveness of alternative
10 deferral options such as individual risk assessment.

11 One commenter suggested the incidence of HIV infection
12 under the current deferral policy should be compared to the
13 incidence under a new policy.

14 Another commenter suggested that studies should be
15 conducted to assess the feasibility and effectiveness of
16 integrating onsite rapid antibody testing at blood collection
17 sites.

18 A pilot study was recommended by another commenter in
19 which the control arm would use current eligibility and
20 deferral criteria. Potential MSM donors could be recruited to
21 participate in the intervention arm that would use an
22 individual risk assessment questionnaire and a 30-day deferral
23 for those identified at medium risk. All donations would then
24 be tested using current technology to assess for an increased
25 HIV risk.

1 The study would also pilot whether the individual risk
2 assessment questions are understood and acceptable to potential
3 donors.

4 In response to the same question, another commenter
5 recommended a four-step study to validate screening questions
6 and their effectiveness in identifying individuals at high risk
7 for HIV.

8 The first step would be to collect blood samples from
9 participants that have been asked specific questions about
10 certain risk activities in the last month. Next, the samples
11 would be tested for HIV. The third step would be to retest the
12 participant for HIV in 1 month. And the final step would be to
13 ask follow-up questions depending on whether the second test
14 was positive or negative to evaluate the effectiveness of the
15 initial questionnaire.

16 A third commenter recommended a staged approach for
17 research to identify and test new donor deferral criteria.

18 The first stage would be to conduct research to identify
19 low-risk MSM through donor questions and assess whether the
20 respondents find the questions acceptable and comprehensible;
21 next, to conduct research to understand the impact of these
22 questions on other donors with respect to (a) acceptability and
23 (b) eligibility, in other words, would currently eligible
24 donors become ineligible with the proposed new criteria?

25 And finally, it would be important to develop methods for

1 testing the safety of the new questions, including testing of
2 blood establishment computer software, or BECS, to validate
3 controls sufficient to prevent crossover of study and donor
4 population donations, as well as to establish safeguards to
5 permit the study populations to donate, for example, to
6 consider a pathogen reducing the blood donations collected from
7 the study population.

8 And a final commenter on this question suggested that the
9 highest priority in study design should be to test the efficacy
10 of classifying MSM donors as high or low risk, although data on
11 non-MSM donors would also be desirable.

12 The proposed study design included administering the
13 questionnaire to MSM and non-MSM subjects to assess low or high
14 risk for recent HIV infections. The subjects would provide a
15 blood sample for HIV testing by nucleic acid testing, and then
16 follow-up testing would be performed at 1 month to identify
17 subjects who converted from NAT negative to NAT positive during
18 that time frame.

19 For those subjects that tested positive on follow-up,
20 analysis would be conducted on the initial sample to determine
21 whether the individual was in the window period when tested or
22 that the individual acquired a new infection since the initial
23 test was performed.

24 And with this slide, I'm completing the summary of the
25 responses that we received to the public docket, and at this

1 time I will share progress on the development of the
2 Transfusion-Transmissible Infections Monitoring System,
3 referred to as TTIMS. So TTIMS is a joint CBER-NIH program
4 that's a long-term representative U.S. blood safety monitoring
5 system that provides information on approximately 50% of the
6 U.S. donor base.

7 The primary functions of TTIMS include monitoring for HIV,
8 HBV, and HCV incidence and prevalence in U.S. blood donors, and
9 there's a potential for comparison of the data collected
10 through TTIMS to the data collected in the REDS-II study that I
11 mentioned earlier that was conducted from 2011 to 2013.

12 TTIMS will also serve to evaluate the molecular
13 epidemiology and recency of HIV infection in blood donors and
14 assess behavioral risk factors.

15 TTIMS will provide a framework for rapid data collection
16 to inform blood safety responses to new emerging infectious
17 diseases, and the system may allow for alert levels, once
18 defined, to indicate if potential blood safety intervention is
19 needed.

20 And finally, the system will facilitate ongoing data
21 availability to objectively assess the value of new blood
22 safety initiatives, including changes to donor deferral
23 policies.

24 There are two main components of TTIMS. The first
25 component is the Donor Database Coordinating Center. The

1 American Red Cross is contracted to serve as the coordinating
2 center and also serves as one of the five data collection
3 sites.

4 Through the establishment of a central database, greater
5 than 50% of the blood supply is monitored for HBV, HCV, and
6 HIV. Consensus test result definitions have been established
7 to ensure all contributing centers are using consistent
8 terminology, and the use of a validated data exchange ensures
9 the validity of the submitted data. There will be quarterly
10 data analysis of HIV, HBV, and HCV prevalence and incidence
11 rates in the donor population.

12 The second component of TTIMS is a Laboratory and Risk
13 Factor Coordinating Center. Blood Systems Research Institute
14 was awarded the contract to serve as this coordinating center,
15 and the center will conduct risk factor interviews with all
16 donors that test positive for HIV, and repeat donors that are
17 newly positive for HBV and HCV, as well as integrate risk
18 factor data with marker data and compare it with control
19 interviews.

20 A biospecimen repository has been established that will
21 test the recency of HIV infection in blood donors and perform
22 viral genetic sequence analysis that will be useful to
23 determine if there is a shift in genetic sequences that could
24 necessitate a change in blood donor screening or testing.

25 The risk factor interview questionnaire that will be

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 utilized to interview donors was modeled on the REDS-II risk
2 factor study interview, with the following enhancements:

3 Transgender categories have been included on the
4 questionnaire, and questions will be asked with respect to
5 employment, monogamy, specific sexual practices, pre- and
6 post-exposure prophylaxis use, and antiretroviral therapy. The
7 questionnaire will also be administered online and is available
8 for Spanish translation. And this questionnaire is under final
9 review.

10 So this final slide on TTIMS provides a summary of the
11 blood safety related outcome measures through the system.
12 These anticipated outcome measures will serve to monitor
13 effects of recent policy changes as well as other potential
14 changes in donor epidemiology and help to inform future blood
15 donor policy decisions.

16 In summary, these measures include the prevalence and
17 incidence of HIV, HBV, and HCV among U.S. blood donors, as well
18 as risk factor assessments obtained through the donor
19 interviews.

20 So as I conclude this presentation, I will briefly discuss
21 FDA's future plans.

22 In consideration of the comments we received to the docket
23 and the current progress of TTIMS, FDA's potential next steps
24 include the following:

- 25
- To assess the impact of FDA's current donor

1 deferral recommendations, including the change to a
2 12-month deferral period for MSM;

- 3 • Consider the design of alternative donor history
4 questionnaires, and
5 • Study the feasibility, effectiveness, and
6 operational impact of individual risk assessment
7 strategies for assessing eligibility of all donors.

8 And finally, FDA is committed to the following principles
9 moving forward:

10 Our process will be based on gathering the necessary
11 scientific evidence regarding policy change, while ensuring the
12 continued safety of the blood supply. We will consider the
13 epidemiology of infectious diseases and behavioral risk factors
14 among donors; laboratory science, including nucleic acid
15 testing technology and advancement in packaging reduction of
16 blood components, as well as social science, including
17 strategies to improve donor education and donor questioning.

18 And importantly, FDA will work to maximize transparency of
19 this process through stakeholder engagement and the use of
20 public meetings, including scientific workshops and Advisory
21 Committee meetings.

22 And with that, I'll conclude my presentation. Thank you
23 very much for your attention, especially at this late hour in
24 the afternoon. Thank you.

25 DR. STOWELL: Thank you very much.

1 Are there any questions for the speaker?

2 (No response.)

3 DR. STOWELL: No questions? Very good then. We'll go
4 into the Open Public Hearing part of this session, and so I
5 will reread that statement for you.

6 Both the Food and Drug Administration and the public
7 believe in a transparent process for information gathering and
8 decision making. To ensure such transparency at this Open
9 Public Hearing session of the Advisory Committee meeting, the
10 FDA believes that it is important to understand the context of
11 an individual's presentation.

12 For this reason, the FDA encourages you, the Open Public
13 Hearing speaker, at the beginning of your written or oral
14 statement, to advise the Committee of any financial
15 relationship that you may have with the sponsor, its product,
16 or if known, its direct competitors. For example, this
17 financial information may include the sponsor's payment of your
18 travel, lodging, or other expenses in connection with your
19 attendance at the meeting. Likewise, the FDA encourages you,
20 at the beginning of your statement, to advise the Committee if
21 you do not have any such financial relationships. If you
22 choose not to address this issue of financial relationships at
23 the beginning of your statement, it will not preclude you from
24 speaking.

25 So I believe we have the names of two people who have said

1 that they want to speak. The first of these is Sharon
2 Carayiannis from the American Association of Blood Banks.

3 MS. CARAYIANNIS: Good afternoon. I'm Sharon Carayiannis,
4 I'm employed by AABB. I'm the deputy -- I'm, rather, the
5 Director of Regulatory Affairs. Yeah, I used to be the deputy.
6 I'm the Director of Regulatory Affairs, and I have no other
7 financial disclosures or any other conflicting considerations.

8 I have a very brief statement to read, and I appreciate
9 the presentation that Jennifer offered to us. We learned a lot
10 from it.

11 AABB is pleased to have this opportunity to express our
12 support for FDA's commitment to reevaluate and update blood
13 donor deferral policies as new scientific information becomes
14 available.

15 AABB's top priority remains the safety of our volunteer
16 blood donors and patients in need of lifesaving blood products.

17 AABB, America's blood centers, and the American Red Cross
18 submitted joint comments in November of 2016 in response to the
19 July 2016 *Federal Register* notice. Our joint comments identify
20 key issues that should be considered as part of risk-based
21 decision making on the feasibility of moving from the existing
22 time-based deferrals related to risk behaviors to alternate
23 deferral options.

24 We wish to underscore the importance of maintaining a safe
25 and adequate blood supply while these studies are completed and

1 after implementation of new deferral policy.

2 Additionally, any changes in the deferral policy should
3 focus on an effective assessment of individuals to identify
4 risks for transfusion-transmitted infections. The views of all
5 stakeholders should be considered and adequately addressed,
6 including the concerns regarding the continued safety of
7 transfusion recipients served by this blood community.

8 AABB is an international not-for-profit association
9 representing individuals and institutions involved in the field
10 of transfusion medicine and cellular therapies. Our
11 association is committed to improving health by developing and
12 delivering standards, accreditation, and educational programs
13 that focus on optimizing patient and donor care and safety.

14 AABB's membership consists of nearly 2,000 institutions
15 and 8,000 individuals, including physicians, nurses,
16 scientists, researchers, administrators, medical technologists,
17 and other healthcare professionals. AABB is located in more
18 than 80 countries.

19 Thank you for the opportunity to provide these comments
20 today.

21 DR. STOWELL: Thank you.

22 Our second speaker is David Hardy from the Whitman-Walker
23 Clinic. Is David Hardy here?

24 DR. HARDY: Thank you. My name is Dr. David Hardy. I am
25 an infectious disease and HIV-trained physician, formerly a

1 Professor of Medicine in the Division of Infectious Disease at
2 UCLA School of Medicine for 25 years. I currently serve as the
3 Senior Director of what's called Evidence-Based Practices at
4 Whitman-Walker Health.

5 Whitman-Walker Health is a historic LGBT-focused,
6 HIV-specializing clinic here in Washington, D.C. We care for
7 approximately 16,000 individuals, about 3- to 4,000 of which
8 are HIV positive, here in the District, and we're one of the
9 first institutions to step forward in terms of the HIV epidemic
10 here in Washington, D.C.

11 We certainly understand the Committee's concern for
12 wanting to keep the blood supply safe, effective, and adequate,
13 and we certainly do want to always support that.

14 We have submitted detailed comments to the Committee as of
15 November 2016, and in those comments we agree with the
16 Committee -- agree with the FDA's two-pronged approach, further
17 evaluating individual-based testing for risk for HIV infection
18 and transmission through blood donation. And number two, we
19 also submitted a detailed but simple four-point plan or step
20 plan, I should say, in a trial that could be conducted to be
21 able to test the adequacy of an improved deferral individual-
22 based sort of donor questionnaire.

23 We support the FDA's willingness and commend its ability
24 to continue to consider the scientific evidence that's
25 available for HIV infection, the current technology that's

1 available, such as NAT testing, and what is known about HIV
2 transmission, to continue to inform a safe and effective blood
3 donor policy.

4 Thank you.

5 DR. STOWELL: Thank you very much.

6 Is there anyone else from the public who would like to
7 speak at this point?

8 (No response.)

9 DR. STOWELL: Seeing no one, then we'll close the Open
10 Public Hearing, and unless I hear objections, I think it's time
11 to adjourn for the day.

12 (Whereupon, at 3:41 p.m., the meeting was continued, to
13 resume the next day Wednesday, April 5, 2017, at 8:30 a.m.)

14

15

16

17

18

19

20

21

22

23

24

25

CERTIFICATE

This is to certify that the attached proceedings in the matter of:

115TH MEETING OF THE BLOOD PRODUCTS ADVISORY COMMITTEE

April 4, 2017

Silver Spring, Maryland

were held as herein appears, and that this is the original transcription thereof for the files of the Food and Drug Administration, Center for Biologics Evaluation and Research.

Tom Bowman

Official Reporter