

UNITED STATES OF AMERICA
DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION

+ + +

CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

+ + +

115TH MEETING OF THE BLOOD PRODUCTS ADVISORY COMMITTEE

+ + +

April 5, 2017
8:30 a.m.

Tommy Douglas Conference Center
10000 New Hampshire Avenue
Silver Spring, MD 20993

COMMITTEE MEMBERS:

CHRISTOPHER P. STOWELL, M.D., Ph.D.	Chair
SUSAN F. LEITMAN, M.D.	Voting Member
THOMAS ORTEL, M.D., Ph.D.	Voting Member
SRIDHAR V. BASAVARAJU, M.D., FACEP	Voting Member
MICHAEL DeVAN, M.D., FCAP, CDR MC USN	Voting Member
NORMA B. LERNER, M.D., M.P.H.	Voting Member
ROBERT J. REES, JR., M.H.A., MT(ASCP)	Voting Member
KATHLEEN SULLIVAN, M.D., Ph.D.	Voting Member
MIGUEL ESCOBAR, M.D.	Voting Member
JAMES MAGUIRE, M.D.	Temporary Voting Member
JUDITH BAKER, D.P.H., M.H.S.A.	Consumer Representative
LCDR BRYAN EMERY, M.A., RN, USPHS	Designated Federal Officer
JOANNE LIPKIND, M.S.	Committee Management Specialist
DENISE ROYSTER	Committee Management Specialist
ROSANNA HARVEY	Committee Management Specialist

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly, the Food and Drug Administration makes no representation as to its accuracy.

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

FDA PARTICIPANTS:

CAROLYN A. WILSON, Ph.D.
Associate Director for Research
Center for Biologics Evaluation and Research

C.D. ATREYA, Ph.D.
Associate Director for Research
Office of Blood Research and Review
Center for Biologics Evaluation and Research

HIRA NAKHASI, Ph.D.
Director
Division of Emerging and Transfusion Transmitted Diseases
Office of Blood Research and Review
Center for Biologics Evaluation and Research

SANJAI KUMAR, Ph.D.
Chief, Laboratory of Emerging Pathogens
Office of Blood Research and Review
Center for Biologics Evaluation and Research

LYNDSAY MEYER
Press Contact

INDEX

Presentation/ Presenter	Page
CALL TO ORDER AND OPENING REMARKS - Christopher P. Stowell, M.D., Ph.D.	212
CONFLICT OF INTEREST STATEMENT - LCDR Bryan Emery, M.A., R.N., USPHS	213
INTRODUCTION OF COMMITTEE	215
TOPIC II: REVIEW OF THE RESEARCH PROGRAMS IN THE LABORATORY OF EMERGING PATHOGENS, DIVISION OF EMERGING AND TRANSFUSION TRANSMITTED DISEASES, OBRR	
OVERVIEW OF CBER RESEARCH PROGRAMS - Carolyn Wilson, Ph.D.	216
OVERVIEW OF OBRR RESEARCH PROGRAMS - C.D. Atreya, Ph.D.	225
OVERVIEW OF DIVISION OF EMERGING AND TRANSFUSION TRANSMITTED DISEASES - Hira Nakhasi, Ph.D.	230
OVERVIEW OF THE LABORATORY OF EMERGING PATHOGENS - Sanjai Kumar, Ph.D.	235
ADJOURNMENT OF OPEN SESSION	276

MEETING

(8:30 a.m.)

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

DR. STOWELL: Good morning, everybody. Welcome to Topic II of the 115th meeting of the Blood Products Advisory Committee. Thank you all for coming this morning. It looks like we have a fairly intimate group. So I believe Bryan has some comments to make to begin with.

LCDR EMERY: Good morning. I am Bryan Emery, the Designated Federal Official for today's meeting of the Blood Products Advisory Committee. Mrs. Joanne Lipkind, Ms. Denise Royster, and Mrs. Rosanna Harvey are the Committee Management Specialists, and they can assist you with any needs at the tables located in the back hall.

I'd like to welcome you all to the second day of the 115th meeting of the Advisory Committee held in the Thomas Douglas Conference Center.

Dr. Christopher Stowell is the Blood Products Advisory Committee Chair. The CBER press meeting contact is Lyndsay Meyer, who is in the audience. Tom Bowman is the transcriptionist.

I'd like to request that everyone please check your cell phones and pagers to make sure they are turned off or in silent mode. Please also remember to speak directly into the microphone at all times, and please identify yourself. It is helpful for the public, people attending by webcast and the

1 transcriber, for the members around the table and the audience.

2 For the members of the Panel, there are refreshments in
3 the back room, 9225. All committee topic and update discussion
4 needs to be done at the public forum and not in groups at
5 break. The FDA and the public needs your advice and expertise.

6 The public and industry must stay behind the stanchions
7 and in the audience area. Please do not enter into the FDA or
8 BPAC committee table area. Please wait until the Open Public
9 Hearing designated time to make any remarks using the center
10 aisle microphone.

11 Now I'd like to read into public record the Conflict of
12 Interest Statement for this meeting. The Food and Drug
13 Administration (FDA) is convening today's meeting of the Blood
14 Products Advisory Committee under the authority of the Federal
15 Advisory Committee Act of 1972. With the exception of the
16 Industry Representative, all participants of the Committee are
17 special Government employees (SGEs) or regular Federal
18 employees from other agencies that are subject to the federal
19 conflict of interest laws and regulations.

20 The following information on the status of this Advisory
21 Committee's compliance with federal conflict of interest laws
22 including, but not limited to, 18 U.S. Code Section 208 of the
23 Federal Food, Drug and Cosmetic Act is being provided to
24 participants at this meeting and to the public. FDA has
25 determined that members of this Advisory Committee are in

1 compliance with federal ethics and conflict of interest laws.

2 Today's agenda includes an overview of the research
3 programs in the Laboratory of Emerging Pathogens, Division of
4 Emerging and Transfusion Transmitted Diseases, Office of Blood
5 Research and Review, Center for Biologics Evaluation and
6 Research. This overview is a non-particular matter. Based on
7 the agenda, it has been determined that this overview presents
8 no actual or appearance of conflict of interest in closed
9 session. In closed session, the Committee will review and
10 discuss the report from the FDA site visit team.

11 Roger Dodd is serving as the Acting Industry
12 Representative acting on behalf of all related industry. He's
13 employed by American Red Cross. Industry representatives are
14 not special government employees and do not attend closed
15 sessions and do not vote.

16 The conflict of interest statement will be available for
17 review at the registration table.

18 We would like to remind members, consultants, and
19 participants that if discussions involve any products or firms
20 not on the agenda for which an FDA participant has a personal
21 or imputed financial interest, the participant needs to exclude
22 themselves from such involvement and the exclusion will be
23 noted for the record.

24 FDA encourages all other participants to advise the
25 Committee of any financial relationships that you may have with

1 firms that could be affected by the Committee discussions.

2 Thank you. I'll turn it back over to Dr. Stowell.

3 DR. STOWELL: Maybe we can start by introductions and just
4 to go around the group, maybe start with you, Mr. Rees.

5 MR. REES: Good morning. I'm Robert Rees. I am the
6 manager of the regulatory program for the New Jersey Department
7 of Health.

8 DR. ORTEL: Good morning. Tom Ortel from Duke University.
9 I'm the Chief of Hematology there.

10 DR. LERNER: Norma Lerner, pediatric hematologist at
11 NHLBI/NIH.

12 DR. BAKER: Judith Baker, Public Health Director with the
13 Center for Inherited Blood Disorders in Orange County,
14 California, and UCLA. Thank you.

15 LCDR EMERY: This is Bryan Emery, DFO for the BPAC
16 Committee.

17 DR. STOWELL: Chris Stowell, Chair of this Committee and
18 Director of the Blood Transfusion Service at Mass General.

19 DR. DeVAN: Mike DeVan. I'm a pathologist at Walter Reed
20 and Director of the Transfusion Services.

21 DR. MAGUIRE: James Maguire, infectious disease specialist
22 at Brigham and Women's Hospital.

23 DR. BASAVARAJU: Sridhar Basavaraju, CDC Office of Blood,
24 Organ and Other Tissue Safety.

25 DR. LEITMAN: Susan Leitman, Director of the Medical

1 Research Scholars Program at NIH, and before that, the Deputy
2 Director of Transfusion Medicine at NIH.

3 DR. STOWELL: And also I believe we're going to have two
4 people join us by phone. Dr. Escobar and Dr. Sullivan, are
5 either of you there?

6 DR. SULLIVAN: Yes, this is Kate Sullivan. I'm the Chief
7 of Allergy and Immunology -- Philadelphia.

8 DR. STOWELL: Okay, thank you.
9 Dr. Escobar.

10 DR. ESCOBAR: This is Miguel Escobar. I'm a hematologist
11 and Director of the Hemophilia Center at the University of
12 Texas, McGovern Medical School in Houston.

13 DR. STOWELL: Very good. Thank you for joining us.

14 This morning's topic is a review of the report of an
15 external review of the research program in the Laboratory of
16 Emerging Pathogens, and the team leader for that was
17 Dr. Maguire, who will be presenting their findings in a bit.
18 To sort of prepare for that, we have a series of presentations
19 from people from that laboratory, as well as those divisions,
20 so starting with Dr. Carolyn Wilson.

21 DR. WILSON: Good morning, and thank you. As was noted,
22 we'll be doing a series of presentations starting with an
23 overview of the Center and then drilling down to Dr. Kumar's
24 presentation that will give you an overview of the laboratory
25 that was reviewed in December.

1 I want to start by thanking Dr. Maguire and Basavaraju,
2 who were the co-chairs of this site visit.

3 So with that, I'll just give you a quick review of the
4 kinds of products that we regulate. Obviously, you're most
5 familiar with blood and blood components and blood derivatives
6 and certain related devices, but we also regulate things like
7 cell and gene therapies, certain human tissues,
8 xenotransplantation products, vaccines both preventive and
9 therapeutic, allergenic products and therapeutic probiotics, as
10 well as live therapeutics, biotherapeutics such as fecal
11 transplantation

12 The complexity of the products themselves and the
13 challenges of the raw materials that are used to manufacture
14 them, the fact that they are not terminally sterilized, so
15 there's risk of infectious disease, as this Committee knows
16 very well, means that we really need to have very strong
17 scientific understanding of the products we regulate, and for
18 that reason, we use science and regulation to advance product
19 development. And this is our -- a graphic that I'll be going
20 through that helps us understand or communicate how we use
21 science.

22 And so we think of things as starting with a public health
23 issue that drives the development of a new product. But
24 oftentimes at the start of development, when it comes to the
25 Agency, we don't always have all of the information that we

1 might like to as regulators to have to make benefit-risk
2 decisions about first-in-human clinical trials or later-stage
3 development as well.

4 And those regulatory challenges are where regulatory
5 science or research can, through a combination of discovery
6 research as well as targeted development of new tools, help us
7 generate the information we need, perhaps as development of
8 reference materials as, you know -- especially in this arena of
9 the Office of Blood, there's a lot of need for reference
10 materials, panels of sera and so on, but also it may be that
11 there is a need for a better mechanistic understanding of
12 products, better animal models. But what we try to do is focus
13 on areas where the work we do is addressing underlying problems
14 that can advance a whole class of products as opposed to an
15 individual product.

16 And so as we generate this information, puts us in a
17 better place to provide advice to sponsors, provide policy, and
18 do our decision making. And as we get better guidance to
19 sponsors, they're providing us better data that allow us to
20 make benefit-risk decisions that hopefully, in the end, license
21 a product or allow products to go forward that have a positive
22 impact on that public health need.

23 And then, of course, postmarketing, we need to continue to
24 be vigilant with regard to surveillance, and we have robust
25 methods development trying to improve and identify better ways

1 to do that as well.

2 And so really, we consider this a collaborative approach
3 to the regulation of biologics, where it's not just review of
4 the data coming in from sponsors, but our active research, the
5 surveillance program, obviously internal CBER discussion, and
6 equally important, advice from external experts like you helps
7 us to make sure that we're really bringing the best science to
8 the table as we regulate these complex products.

9 And I wanted to mention that in the Center, our research
10 scientists are what we call researcher-reviewers, and what this
11 means is that they not only conduct research, but they also do
12 the same activities as full-time reviewers. This means that
13 they are reviewing data that's coming in through regulatory
14 submissions. They're going out on inspections, they're
15 organizing advisory committees and presenting here, they're
16 organizing scientific workshops and so on.

17 And because they firmly have one foot in their world of
18 science and going out to professional and scientific meetings,
19 they can see things that aren't yet here at the Agency and help
20 us to be proactively prepared for what's coming, but while also
21 having the other foot firmly planted within the Agency, seeing
22 what's going on behind closed doors, if you will, to be able to
23 identify those cross-cutting issues that can help move product
24 development forward.

25 So to just sort of summarize this, the purpose of our

1 research is to contribute to regulation of biologic products by
2 addressing scientific aspects of critical regulatory issues.
3 As I mentioned earlier, we establish methods and standards to
4 ensure safety, purity, potency, and effectiveness of our
5 products, recruit and maintain highly trained scientists with
6 the appropriate expertise, and provide that scientific
7 expertise and leadership to the community to facilitate
8 development and introduction of new biologic products.

9 So we do this through a variety of scientific expertise
10 within the Center. We've invested heavily in the last decade,
11 I would say, in a number of applied technologies to improve our
12 analytical capabilities: NMR, mass spectrometry, flow
13 cytometry, microarray, high-throughput sequencing or next-gen
14 sequencing, and most recently, in building the bioinformatics
15 and IT platforms we need to evaluate and analyze these large
16 and complex datasets.

17 As you can imagine, with the products we regulate, we
18 obviously have to have good expertise in microbiology,
19 immunology, biochemistry, and molecular biology, as well as
20 cell, developmental biology, and tissue engineering.
21 Epidemiology is obviously critical, as is biostatistics and
22 bioinformatics.

23 So this creates a nice environment for interdisciplinary
24 collaborations, but we also collaborate heavily with the
25 outside, with academia and other, and this is just data

1 captured from the last research reporting database showing
2 across the country. We have collaborations across the world,
3 and these collaborations are not just government laboratories
4 but also represent a very robust collaboration with academia
5 and, in some cases, nonprofit and industry.

6 So I want to just spend the last few minutes talking about
7 the research management process and how the site visit that
8 you'll be reviewing later in closed session fits into that.
9 I'll briefly touch on some new governance bodies that we
10 started a little over a year ago, our science and research
11 goals and impact framework that we stood up about a year ago,
12 and how we evaluate the role of the site visit in that.

13 So the two new governance bodies are shown in these red
14 boxes, the Resource Committee and the Regulatory Science
15 Council, and these committees are advisory to the Center
16 Director, and what they do is -- and in particular, I'll focus
17 on the Regulatory Science Council, which is composed of
18 leadership from all the Offices that have a research component.
19 And this council is involved in overseeing the development of
20 Office-level goals and objectives, as well as Center-level
21 goals, and providing portfolio review of the research program.
22 And they interface with the Resource Committee to ensure that
23 resources are aligned with our needs and goals.

24 And so this year we developed four goals which are
25 advancing the scientific basis for regulation of biologics,

1 human tissues, and blood by developing and evaluating
2 technology, reagents, and standards to inform and improve
3 chemistry, manufacturing, and controls; developing and
4 assessing nonclinical models and methods predictive of clinical
5 performance with respect to toxicity and effectiveness;
6 improving clinical evaluations pre- and post-licensure through
7 use of big data, innovative designs/statistical, analytical and
8 modeling approaches; and finally, but very important as well,
9 preparing for future regulatory and public health challenges.

10 As I mentioned, we have a research impact framework which
11 we apply at both the portfolio and individual project level,
12 and at the portfolio level, we are really looking for alignment
13 with those goals that I mentioned and making sure that we have
14 the expertise to inform our review needs, as well having an
15 agile set of internal capabilities. And as this body knows
16 better than anyone, that's really been tested in the last few
17 years with the Ebola outbreak, the Zika outbreak and, you know,
18 we never know what's coming next. So having a diverse
19 scientific expertise in-house has allowed us to really be
20 responsive.

21 We also want to make sure that we are using our research
22 resources to address those issues that are most critical for
23 our regulatory mission, and of course, at the individual
24 project level, we need to always keep in mind that has
25 scientific merit and that the PI is productive.

1 So we have three levels of internal review that we started
2 in FY17. One-fourth of all programs and any new project
3 proposals are reviewed by an internal peer review committee as
4 well as through their management supervisory chain.

5 And then the Regulatory Science Council will also do a
6 portfolio-level review of one-fourth of those programs so that
7 the idea is, is that everything gets a fairly good look at sort
8 of an individual scientific review, as well as alignment with
9 goals and objectives once every 4 years because they're all
10 using the same impact framework.

11 I'm not going to read through this, but just to show that
12 we have a research reporting database, which is a web-based
13 interface where PIs, on an annual basis, provide program-level
14 information that provides us an ability to track how it's
15 relevant to CBER goals, FDA priorities, staffing, space,
16 equipment, and we also capture their relevant output, like
17 publications, presentations, things like development of
18 reference materials and standards, guidance, and so on.

19 At the project level, we get information about the Office
20 goals and objectives, and then how it's supporting our review
21 capability, outcome, and impacts that are expected, and then
22 more detailed information about the experimental design;
23 progress and plans are provided on an annual basis and various
24 administrative information.

25 So in addition to these processes, we also have this

1 external review which we refer to as site visits, which is a
2 peer review by scientific experts, and these are subcommittees
3 of existing advisory committees, and you'll be hearing the
4 output of that process today.

5 The report that's generated by the site visit goes to an
6 internal peer review committee called the Promotion,
7 Conversion, Evaluation Committee, and these occur every 4 years
8 for each PI.

9 The career pathways for research scientists include
10 starting in a temporary track where they can stay for up to 7
11 years, and we have sort of two groups of senior staff fellow or
12 visiting scientists, which can eventually become a permanent
13 principal investigator or support scientists, which are called
14 staff fellow or visiting associates, which can eventually
15 become permanent staff scientists.

16 During that 7-year period, they have to undergo at least
17 one external site visit, and that report, along with a much
18 larger package about their regulatory work and other
19 accomplishments, goes to review for the PCE, and then they make
20 a recommendation that this person is eligible to compete in an
21 open competition for those permanent positions.

22 So what you'll be reviewing today is a draft report that
23 the site visit team developed. The outcome of your work today
24 can either be to accept the report as written -- you may find
25 that you want to make some minor changes to language, in which

1 case we have an option to amend the report, and if there's a
2 major revision, then you have the option to reject the report
3 and send that back to the site visit team for revision. Once
4 it is approved by the full Advisory Committee, the final report
5 is really useful. It is, as I mentioned, used for the PCE
6 review. The PIs take the input very seriously for improving
7 their own research program. And then, of course, management
8 relies on these for informing them with regard to resource
9 allocation decisions pending resource availability.

10 So, finally, I'll just start where -- finish where I
11 started, which is a big thank you again to the co-chairs and
12 the site visit team and to you today for your evaluation of
13 this report. This input is really critical for making sure our
14 research programs are fulfilling our regulatory mission.

15 So I'll stop there and answer any questions.

16 (No response.)

17 DR. WILSON: Okay, thank you.

18 DR. STOWELL: Thank you very much.

19 Our next presentation will be from Dr. Atreya,
20 representing the Office of Blood Research and Review.

21 DR. ATREYA: Good morning, everybody, and thank you for,
22 you know, being here, and it's valuable input that we are
23 receiving from you, from the Committee, for the site visit of
24 the Laboratory of Emerging Pathogens. I'll give you a brief
25 overview of the Office of Blood Research and Review.

1 Our Office mission is to ensure the safety, efficacy, and
2 availability of blood and blood products, and we achieve this
3 through regulation of blood and blood components for
4 transfusion and plasma for fractionation and then devices used
5 in the manufacture of blood and blood components, for example,
6 like BECS and automated cell separators, blood grouping and
7 cross-matching reagents and devices, HLA tests, etc.

8 And we also do the blood collection containers, review
9 them, and also the additive solutions, for example, like
10 anticoagulants; and then plasma volume expanders like albumin,
11 dextrans, and hetastarches; and then oxygen-carrying solutions
12 like HBOCs and perfluorocarbons; donor screening tests and
13 confirmatory tests for transfusion-transmissible infections and
14 also pathogen reduction devices; and lastly but not least,
15 diagnostic tests for human retroviruses.

16 To do our mission and to fulfill it, we establish policies
17 and standards to assure donor safety and the safety of blood
18 and blood products. We review applications for investigational
19 and commercial use of blood products and related devices and
20 retroviral diagnostics. We perform establishment inspections
21 and assist the Agency in regulatory compliance actions.
22 Perform health hazard evaluations and risk assessments of blood
23 and blood products.

24 We engage in the emergency preparedness, as Dr. Carolyn
25 Wilson just mentioned a minute ago, like for example, with

1 Ebola or with the Zika virus outbreaks. And where feasible, we
2 also participate in global outreach and cooperation, and we do
3 organize scientific workshops on timely topics important to
4 CBER. For example, there's one tomorrow on the tick-borne
5 infectious disease workshop. Most of you are, I think,
6 attending it. And we also conduct research to facilitate the
7 development, manufacture, and evaluation of blood products and
8 retroviral diagnostics.

9 Our vision for research is to support FDA's initiatives in
10 regulatory science including medical countermeasures to
11 facilitate product development, to focus on scientific
12 questions critical to effective regulation, concentration in
13 areas where our unique role as regulators is most contributory,
14 and we have a provision of an infrastructure for investigation
15 of product limitations and failures, advancing innovation in
16 research areas that enrich FDA's regulatory science base. So
17 these are the visions for our research.

18 And then resources to do such research in our Office, we
19 have subject experts in virology, retrovirology, bacteriology,
20 parasitology, prions, cell biology, immunology, biochemistry,
21 and physiology.

22 We have 17 investigator-initiated programs located in two
23 divisions under five laboratories, and one of the laboratories
24 is the one that you are doing the site visit and having this
25 report here today.

1 And the programs are mostly funded by both internal, that
2 is FDA intramural research funding, and also with external
3 sources, something like NIH-NIAID, NHLBI, NCI, Clinical Center
4 of NIH, and CRADAs. And sometimes we do get funding from
5 BARDA.

6 Our research goals for the next 4 to 5 years are assess
7 and promote safety and effectiveness of transfusion products
8 and related devices and technologies. And under this Goal 1,
9 we do have a few objectives, for example, evaluation of ex vivo
10 stored platelets and red cells for safety and efficacy,
11 toxicokinetics and development of biomarkers of product quality
12 including omics-based approaches, and microparticles-associated
13 toxicities.

14 We have projects on evaluation of safety and effectiveness
15 of oxygen-carrying solutions, platelet-like products, and
16 related biologics; development and evaluation of reference
17 panels for molecular typing methods for blood groups and HLA
18 antigens. The last objective under Goal 1 is facilitate
19 development of pathogen reduction technologies applicable to
20 whole blood and blood components.

21 Goal 2 is to assess and promote safety and effectiveness
22 of transfusion-transmitted infectious disease agents, donor
23 screening and supplemental tests, and retroviral diagnostics.
24 The objectives under this Research Goal 2 are evaluation of
25 screening and confirmatory technologies for detection of TTID

1 agents for assurance and enhancement of blood safety;
2 development and evaluation of reference panels for screening
3 and confirmatory tests for TTID agents and retroviral
4 diagnostics; facilitate preparedness for blood safety from
5 emerging infectious agents and other pathogens of global
6 significance through investigations of mechanisms of
7 transmission and pathogenesis.

8 Our global outreach, where it is feasible, our staff
9 participates either as a member or an observer in several WHO
10 initiatives. There are a bunch of them listed here:
11 Collaborating Center for Biological Standardization; Expert
12 Committee on Biological Standardization; Blood Regulators
13 Network; Prequalification Program for diagnostics; European
14 Directorate for the Quality of Medicines & HealthCare, Blood
15 Transfusion Sector; and so on and so forth.

16 And so, in conclusion, what I would like to say is that
17 research is integral to the mission of our Office, our Center,
18 and the FDA. And our office research facilitates product
19 evaluation and development and is aligned with and fulfills the
20 regulatory science mission of CBER and FDA.

21 Thank you. If you have any questions, let me know.

22 DR. STOWELL: Thank you, Dr. Atreya.

23 Our next presentation is from Dr. Hira Nakhasi, who will
24 give us an overview of the Division of Emerging Transfusion
25 Transmitted Diseases.

1 DR. NAKHASI: Good morning. So my job is to really give
2 you an overview of the Division, which the lab which you will,
3 you know, hear the lab which was reviewed with that Division
4 for the site visit, and you'll be hearing the report from the
5 chairs of the site visit committee.

6 So the Division of Emerging and Transfusion Transmitted
7 Diseases is part of the Office of Blood, and this presentation
8 that was done at the site visit and, you know, we presented the
9 thing. So the Division of Emerging and Transfusion Transmitted
10 Diseases is organized in the Office of the Director, and then
11 there are three research laboratories and one Product Review
12 Branch.

13 What do I mean by that? The three research review
14 laboratories are organized in the discipline of where we
15 basically talk about PIs, the principal investigators, do
16 research and review of activities in bacterial and
17 transmissible spongiform encephalopathy agents, and Dr. David
18 Asher is the Chief of that. Laboratory of Molecular Virology,
19 Dr. Indira Hewlett is the Chief of that. And the Laboratory of
20 Emerging Pathogens, Dr. Sanjai Kumar is the Chief of that.

21 The site visit, the scientific program evaluation, which
22 you'll hear today, is from the Laboratory of Emerging
23 Pathogens. Again, it is not for all the PIs there. The PIs
24 which will be -- you will hear the report part today will be
25 Dr. Alain Debrabant, Dr. Duncan, and myself, Hira Nakhasi. I'm

1 also part of that. Even though I'm the director, I'm also part
2 of -- as a PI in part of that in the lab.

3 And then we have full-time reviewers in the Branch
4 of -- we call it Product Review Branch, and Dr. Leiby is the
5 Chief of that.

6 The mission of the division is to plan and conduct
7 research, as Dr. Atreya mentioned, and one of the goals in the
8 OBRR is to really work on the development, pathogenesis, and
9 proof of concept for detection assays for bloodborne pathogens.
10 It may be viral, which is parasitic, it is bacterial,
11 biodefense agents, transmissible spongiform encephalopathy
12 agents.

13 And also in addition to that, we have a program which is
14 basically development of biomarkers of vaccine safety of
15 bloodborne parasitic pathogens such as malaria and
16 *Leishmania* -- and you will hear some of it today -- to fulfill
17 the FDA's commitment to ensure global public health safety;
18 it's basically a CBER priority.

19 And then we basically look at proactively ensuring the
20 safety of the blood supply through the regulation of the test
21 kits; evaluation of new technologies, you will hear some
22 progress on that; development of policies, the guideline and
23 guidances for blood screen and donor screening.

24 In addition, we develop reference materials for the test
25 kit manufacturers. We also collaborate with WHO as a part of a

1 collaborating center to develop international standards. Then
2 we also have a mission to investigate testing failures, if
3 there are, at the inspections or other consultations. Then we
4 also have advisory role. We provide advice to other agencies,
5 such as government components, CDC, Department of Defense, and
6 DHHS.

7 And we have quite a bit of outreach to our stakeholders,
8 such as blood product -- and to blood establishments and
9 other -- you know, we have a liaison to both blood
10 establishments and device manufacturers and also as a part of
11 the collaborating center, WHO collaborating center

12 So the blood safety and availability impact on the U.S.
13 public health is, as we all know, that there are approximately
14 14 million units are transfused annually, and then the risk of
15 transmission has been significantly reduced by the introduction
16 of several tests, both NAT, nucleic acid test, or serological
17 tests, and the list is there.

18 In addition, blood is being screened now under
19 investigational testing, and it means those tests have not been
20 approved yet, you know, licensed yet. These are Zika, as many
21 of you know that part last year. So we have been testing Zika
22 using NAT assay nationwide, it started in December of last
23 year, and *Babesia* using NAT and serological assays in high-risk
24 areas.

25 Now, what are the challenges for blood safety and

1 availability? Obviously, you know, there are always reemerging
2 pathogens, emerging pathogens. You know, every year we hear
3 something of a new agent coming up, like the tropical diseases.
4 People travel all over the exotic places and come down with
5 infections from there, such as parasitic infections,
6 arboviruses, you know, dengue, chikungunya, and yellow fever.

7 Then what are the other challenges? We don't have assays
8 for all the pathogens to detect them. We want to have assays
9 which can be multiplex so that we cannot have each assay for
10 each pathogen; lack of confirmatory assays, and then potential
11 impact of travel-based deferrals on adequacy of the blood
12 supply, and lack of knowledge on pathogenesis of emerging
13 pathogens also. And that's why, as Dr. Carolyn Wilson
14 mentioned, CBER's goal is really to have in-house expertise to
15 know about the pathogenesis of many of these agents.

16 This is a list of mission-relevant regulatory research.
17 We do research on pathogenesis and standards development of HIV
18 and other retroviruses; studies on pathogenesis of arboviruses
19 like West Nile, dengue, Zika, and hepatitis viruses. We have a
20 program on advancing detection technologies, we have a program
21 on detecting TSE agents, and we have a program on parasitic
22 infections as well, which is malaria, *Babesia*, and *Leishmania*
23 and then also *Trypanosoma cruzi*.

24 I select examples of significant mission-relevant research
25 publications. I don't want to go into details of each

1 publication, but you can see from the list that it covers a
2 gamut of things from parasites to viruses to detection assays
3 to, you know, also TSE agents.

4 In addition, our major workload is the development and
5 evaluation of reference reagents and standards for assay
6 development. And you can see from the list that we have a
7 large number of lists for the -- which we have developed
8 in-house these panels which are used now, given to the industry
9 for validating these tests, starting from *Babesia* antibody and
10 RNA, *Babesia* RNA, and dengue virus RNA, chikungunya RNA, Zika,
11 vCJD, *Plasmodium*, HIV genotypes, and *T. cruzi* antibody.
12 Actually, it's *Babesia* DNA on that, not RNA.

13 So all the things -- you know, the PIs, which are 11 of
14 them in the division under these three laboratories, and we
15 support a total staff of 52 FTEs and contract fellows, mostly
16 these are funded through either through FDA intramural program
17 or extramural program, as Dr. Atreya mentioned.

18 And last year we had approximately 44 publications in
19 peer-reviewed journals, and we had a workload of reviewing
20 applications, more than 400 applications, which included all
21 sorts of types, all types of applications such as BLAs, PMAs,
22 BLA supplements, PMA supplements, INDs and IDEs. The PIs in
23 the division spend quite a bit of time in developing guidance
24 documents for the industry, how to use those tests, how to
25 implement those tests, and what type of different policies they

1 have to have.

2 As you can see from the workload, both the people who do
3 research as well -- mission-relevant research as well as the
4 regulatory functions.

5 Thank you for your attention. I can take any questions.

6 DR. STOWELL: Thank you, Dr. Nakhasi. Any questions for
7 Dr. Nakhasi?

8 (No response.)

9 DR. NAKHASI: Thank you.

10 DR. STOWELL: Our next presenter will be Dr. Sanjai Kumar,
11 who is the Director for the Laboratory of Emerging Pathogens.

12 DR. KUMAR: Thank you, and good morning. So I guess I'll
13 be here for a while. I am going to summarize the research
14 programs of four PIs in the lab, in the Laboratory of Emerging
15 Pathogens, including myself, as presented to the site visit
16 committee last December.

17 So you heard quite a bit about our public health mission,
18 so I'm not going to dwell on it really. What I'm going to talk
19 about here a little bit, we do our best to align our research
20 programs with the public health mission of the laboratory, so
21 the programs in the blood safety, and also you will hear quite
22 a bit about our programs on the biomarkers of immunity and
23 parasite vaccines. That falls in the arena of the overall
24 public health goal, public health mission of FDA's safety,
25 health safety. And I would like to also say that these four

1 programs you will hear about, they're unique programs within
2 FDA that, you know, the PIs were working on these pathogens
3 within FDA and within the Center, so we tried to attempt to
4 address the issues also related to vaccines.

5 Okay, so I'm going to talk first about my own research
6 program with *Babesia* and malaria. So malaria, notwithstanding
7 the global public health safety, we have our own challenges
8 with malaria here. Around 1,700 clinical cases reported to CDC
9 every year and exclusively in travelers, immigrants, and prior
10 residents of endemic areas that is known of transmission in
11 this country.

12 But a vast majority, I think this is the tip of the
13 iceberg here because the vast majority of these individuals who
14 come from endemic areas, malaria symptomatic, present a
15 challenge to blood safety.

16 Over the last 35 years or so since the data was collected,
17 there have been over 100 cases of transfusion-transmitted
18 malaria. And just to emphasize the risk of transmitted malaria
19 is not gone, we had two cases of transmitted malaria in the
20 last year or so really. Other than this direct risk of
21 transmitted malaria, we have significant blood donor loss,
22 around 100,000. There are a lot of donor loss from self-
23 deferrals also.

24 So what we need is a donor screening program, which is not
25 in place, and mostly just because the challenges related to

1 detection of malaria parasites in blood because of the
2 infectious nature; the assays work for other viruses, just not
3 that simple to work for malaria. And also, we need highly
4 sensitive antibody assays. Then also, my own program on novel
5 vaccine candidates and assays to evaluate vaccine efficacy are
6 needed, and I'm going to talk about that.

7 So the next pathogen that I work on is *Babesia*. That's
8 closer to home, and I would like to begin by emphasizing here
9 the highest number of clinical cases of transfusion-transmitted
10 babesiosis cases are reported in the United States. So it's
11 not -- I mean, it's a global problem but more problem in
12 America. You try to test the pathogen, you cannot -- unless
13 you're trained in microscopy, you can't tell the difference
14 between malaria and *Babesia* under the microscope.

15 Around 1,800 clinical cases each year reported, and again,
16 the vast majority are asymptomatic. Those are blood safety
17 challenge. There is what you call the so-called *Babesia* belt,
18 the hardest parts. Most of the cases, both transfusion-
19 transmitted and clinical cases in these seven states here, but
20 it's not to say it's not a nationwide risk. Transfusion cases
21 have been reported from 22 states and clinical cases in 27
22 states, mostly from the troublesome area.

23 So what we need here is we still don't have -- we have, as
24 you heard, investigational testing, but we don't have a
25 licensed test, and always there's a chance, time, and place for

1 improvements, so we need highly sensitive nucleic acid and
2 antibody tests, and that's what my program is trying to
3 address, okay.

4 So these are my own research programs. Blood safety,
5 we're trying to develop superior nucleic acid tests for malaria
6 and *Babesia*, and then we have a program for antigen discovery
7 for *Babesia* so we can develop antibody tests, because we're
8 looking around the literature, we found that there was a major
9 scarcity of antigens, immunodominant antigens that we can use
10 for antibody assays.

11 I briefly mentioned about our program on discovery of
12 novel malaria antigens, and we are targeting the transmission-
13 blocking stages, these stages that develop in mosquitoes, and
14 also we are trying to develop superior assays so we can detect
15 if these are viruses of infection.

16 And that's a really important point here. These are
17 viruses of infection. That means those are the results were
18 gametocytes, I mean, they are carrying the gametocytes, and
19 it's really important to know because that's where the target
20 intervention assays need to be addressed, whether it's the
21 vaccine testing there or other work to control elimination
22 efforts.

23 We are also working to identify the correlates of malaria
24 immunity and pathogenesis so we can find the targets of anti-
25 disease vaccine. So far there is not a single malaria vaccine,

1 investigational even, which targets the disease pathogenesis.
2 So we are attempting to address that, and also we are trying to
3 develop the assays of vaccine efficacy.

4 So first looking at the nucleic acid test for *Plasmodium*
5 *falciparum*, what you see here, there are two things here.
6 We're evaluating technologies here; one is a bead-based assay
7 which is a non-amplification assay, and the second with the PCR
8 amplification, comparing these two methods. And also, we're
9 trying to improve the sensitivity of existing nucleic acid
10 assays.

11 Because the idea is the overall -- we want to improve what
12 is out there in the field really in terms of detection of a
13 nucleic acid-based assay because how does one detect flu-
14 infected lymphocytes in a unit of blood? That's the challenge
15 here. So as sensitive as we can get, that's the goal here.

16 So by this bead-based assay, what is based on two probes
17 here, which target the same target sequence of *Plasmodium*, one
18 is linked to the bead and the second to fluorophore here. To
19 our surprise, this non-amplification method worked very well.

20 So here the typical 18S ribosomal RNA gene, which is five
21 copies in *falciparum*, and the other one we target, this EMP1
22 gene is 60 copies in genome. And sure enough, we have
23 significant gain in sensitivity using this high target gene
24 more than -- superior most times.

25 And then also clinical sensitivity. What I call clinical

1 sensitivity here is these are the asymptomatic adults which are
2 clinically immune to malaria and live in Ghana. So EMP1 target
3 improves sensitivity here, which is not surprising, but this is
4 the population which is more akin to the asymptomatic blood
5 donors also. By PCR, again, a high target -- high target, high
6 copy number target improves sensitivity and also in terms of
7 analytical sensitivity and clinical sensitivity of the assay.

8 So I think we -- using the existing technologies, we have
9 gotten as far as we can. The idea is to validate the assays
10 further and using the similar sort of things for the nucleic
11 acid-based test for *Babesia microti*, which is also our urgent
12 need.

13 With 18S ribosomal RNA by bead-based non-amplification
14 assay, we can detect over 100 parasites per mL. But when we
15 use this high copy number target, sensitivity becomes four
16 parasites per mL. I think also this is one of the best we have
17 seen in the literature.

18 For *Babesia microti* by amplification, there is not that
19 much difference because I think amplification compensates, but
20 it is again in terms of sensitivity, clinical sensitivity. I
21 think that has to do where you can use more sample volume here.

22 Okay. In terms of discovery of novel antigens, we did
23 spend significant time looking at the genome-based,
24 bioinformatics-based immunodominant antigens, and nothing
25 worked. Then we went back to the old brute force method of

1 genome-wide immuno-screening of phage display library, and we
2 came up with 60 immunodominant antigens. Then for the
3 immunological ranking, we selected 30 highest-ranking antigens
4 in *E. coli*, and what you see here is the results of 15 of these
5 antigens here, and they are ranked based on the activity in
6 ELISA. So it's hard to see for me very well here.

7 What's happening here is at least three or four antigens
8 give us high sensitivity, which we see according to what is
9 published in the literature, and one antigen is reaching almost
10 100% sensitivity. What makes it better, when we mix these
11 antigens, we get 100% of babesiosis presence here, and the
12 results have been exposed to *Babesia* also. So this is the
13 assay we are really excited about; we are working to validate
14 it further.

15 So just to sum up and tell you the future direction, I'll
16 just talk about the future direction only. For the NAT assays
17 for *Plasmodium* and *Babesia*, we are determining the clinical
18 sensitivity in larger cohort samples from endemic area, and
19 also we determined the specificity in a large number of U.S.
20 blood donors.

21 Similarly, for *Babesia*, we are optimizing the single
22 antigen-based ELISA and multiple antigen-based ELISA because if
23 single antigen works, that's the best in terms of actual making
24 donor screening assay with this. And also, we are looking at
25 these antigens in terms of vaccine efficacy. I'm not going to

1 show the results, but the results are very encouraging.

2 So that's where we stand with this. I'm going to move on
3 to the next part of my talk, which is related to discovery of
4 novel transmission-blocking vaccine candidates.

5 So the transmission blocking, what I mean is disrupting
6 the parasite development in the mosquito here, mosquito recta.
7 So these are the malaria gametocytes here in the human host,
8 male and females. They are picked up during their blood meal.
9 Then they -- male, female in the form of zygote and gamete, so
10 forth, okay. So we are working on these gametocyte stages
11 here.

12 So there are three major antigens I have listed here;
13 those are the vaccine candidates, hundreds of millions of
14 dollars in investments so far over the last 2 decades or so,
15 but none of those produced significant transmission-blocking
16 antibodies that will become a sustainable vaccine. So we
17 thought we need to go back and look for -- discover novel
18 antigens, and we did that. And the same antigens that we
19 discovered while working to look at the reason why, so
20 detection, because that's a major requirement -- of that.

21 Simultaneously, we are trying to develop an assay as part
22 of our efforts to improve vaccine efficacy methods to develop
23 malaria vaccines. At this part of FDA mission, we are
24 developing immunological methods that will replace the
25 microscopy as a method to measure parasite burden in

1 mosquitoes.

2 And I must say all this work has been funded for the last
3 8 years by PATH malaria vaccine CRADA.

4 Okay, so biomarker detection by microarrays and antigens
5 of vaccine efficacy. Through microarrays, we identified 56
6 gametocytes in these antigens, which is more than 50-fold
7 up-regulation in expression, and also exclusively we wanted to
8 make sure they're not expressed in blood stages.

9 And after very extensive screening, we came up with this
10 one molecule which has the sensitivity of 10 gametocytes per
11 mL, where the gold standard is this molecule Pfs25, which has
12 25 gametocytes per mL. Using this detection marker, we
13 detected around, in 20 persons, more blood smear negative
14 Ghanaian children as gametocyte positive. These
15 are -- negative, so these are the added detection in terms of
16 who are the children who are gametocyte and potential reservoir
17 for transmission.

18 And also, it really is a lot more -- we detect twice as
19 many asymptomatic adults with this new molecule. So we are
20 pursuing this further.

21 In terms of novel vaccine antigen discovery, we have
22 selected 16 antigens which have dual expression both in the
23 asexual stages and in gametocyte stages, because the idea here
24 is not to exclude the blood stages; we have a multistage
25 vaccine here. And also there's the natural boosting if we have

1 blood-stage vaccine.

2 And at least one molecule -- so this is the candidate
3 antigen which has been developed for many years, 48/45, and we
4 have several molecules which are equal or superior reactivity
5 than this antigen.

6 So I would just like to move along from here now quickly.
7 I just want to talk about the last part of my project here,
8 correlates of malaria immunity and pathogenesis.

9 Over the last several years we have worked and defined the
10 role of Th1 CD4 T cells in malaria immunity and pathogenesis
11 and published this and moved on pretty much. We found a really
12 significant role of CD47 molecule in recognition of self on
13 macrophages in malaria virulence and regulation of parasite
14 density.

15 What I am going to present today is our work on
16 characterizing a novel population of T cell receptor-expressing
17 macrophages during malaria infection. Usually, macrophages are
18 part of innate system and are not known to express TCR.

19 So here's the model here. We used *Plasmodium berghei* ANKA
20 parasites in C57. We have six mice. They have the symptoms of
21 cerebral malaria. This is the closest it gets really to human
22 cerebral malaria.

23 So we worked with doing this strain, what we call the ECM,
24 experimental cerebral malaria phase during Days 6 to 10 post-
25 infection, and we tried to find the new markers that induce

1 this pathogenesis of malaria.

2 So these are the brain-sequestered cells isolated by
3 perfusion. So in brain, on Day 6, we find around 20% of these
4 cells are CD11 high; these are the marker of monocytes. So
5 probably these are macrophages when we defined them further for
6 CD14 and F4/80. So there's triple positive cells for CD11
7 high b, CD 14 and F4/80. Eighty percent of these express all
8 these three molecules.

9 So knowing the current immunological markers, these are
10 macrophages, but what is curious there, more than 80% of these
11 express also TCR better expression, which is unconventional and
12 unknown, and also these are negative for CD3, so the CD3
13 negative TCR-expressing molecules.

14 And also, when we look in the spleen at the same time
15 point, the numbers don't show here, is 2, only 2% of these are
16 here, and 37% of these macrophages express these triple markers
17 here.

18 But similar to the brain-sequestered macrophages, they
19 also expressed -- more than 90% express TCR and the CD3
20 negative. So probably what's happening, they have left the
21 spleen by that time without sequestered in the brain.

22 We look for TCR rearrangement here, whether these are
23 classical TCR and macrophages, and even though there are a
24 predominance of certain TCR types here, there is
25 certainly -- there's heterogenicity in T cell receptors here

1 that's actual TCR rearrangement going on there. The TCR
2 expression correlates in parasite burden, so it seems like this
3 is antigen driven. But then finally, we wanted to know what is
4 the clinical significance of all this? What is the biological
5 significance?

6 So what we did was we took the -- there are three post-
7 infection, mixed them in equal number of APC-labeled infected
8 lymphocytes, and then we stained them for these all sorts of
9 things: CD14, CD11b, after incubation with CD4/80. And then
10 also, we excluded them for Ly6G marker, which is a neutrophil
11 marker. So these are macrophages and not neutrophils.

12 And on Day 3, we did the assay on Day 3 because it's
13 almost equal distribution of TCR positive and negative cells at
14 this time point. And then we looked for the APC positive
15 infected lymphocytes, and 30% of TCR are expressing macrophages
16 had phagocyte-immune infected red cells. In comparison, less
17 than 20% of TCR negative. So it seems like those macrophages
18 which express TCR are more effective or more capable of
19 phagocyte-immune infected red cells. So that's where that
20 stands.

21 And just to summarize the future direction, we are looking
22 at the macrophage TCR rearrangement program during malaria
23 infection at molecular level. We are trying to find out what
24 are the malaria antigens that induce the proliferation of TCR-
25 expressing macrophages. And also, we are looking at the role

1 of TCR-expressing macrophages in malaria immunity and
2 pathogenesis.

3 So I will move on to the next program, Dr. Nakhasi's
4 program here, his approaches to reduce the risk of
5 leishmaniasis through vaccination and in the process will
6 reduce transfusion-transmitted *Leishmania* infections. His
7 program has been devoted for the last more than a decade to
8 oversee generation and evaluation of efficacy of genetically
9 modified *Leishmania* vaccines, and also, he's doing studies that
10 will fulfill the target product profile, and we'll talk about
11 that in a minute, okay.

12 Similar to malaria, *Leishmania* has really significance,
13 global public health significance. At least one-fifth of the
14 population lives in areas of *Leishmania* transmission risk,
15 worldwide infections and deaths every year. But what is
16 important here is if one talks about the control or eradication
17 of *Leishmania*, mass administration is probably not going to do
18 it, unlike malaria, where it can be effective in the local area
19 because the drugs are not that effective. A radical cure is
20 not possible, and also then, excessive use leads to increased
21 drug resistance.

22 So vaccination is not only most cost effective, probably
23 the only effective method for controlling visceral
24 leishmaniasis and also in the process reduce the burden of
25 asymptomatic leishmaniasis in a unique area and that eventually

1 will lead to blood safety.

2 Here I would just like to focus on a few things similar to
3 malaria. Significant millions of Americans travel to areas
4 where leishmaniasis -- *Leishmania* is transmitted, and in the
5 process they acquire infections. The high proportion here,
6 similar to malaria, it is asymptomatic and also does not reveal
7 itself until it is too late in terms of blood safety.

8 And in response to all this, and also what was really
9 during the Gulf War and a large number of soldiers came back
10 with leishmaniasis here, the Infectious Disease Society of
11 America has produced new guidelines on how to solve this and do
12 this to protect them from leishmaniasis, and there's no donor
13 screening assay available, okay.

14 So what has worked for vaccination against leishmaniasis?
15 Recombinant proteins have been tried with drugs with no
16 success. DNA vaccines have not been a success. Inactivated
17 whole parasite with or without adjuvants were not very
18 effective.

19 So what probably will work, what is known through
20 literature and through recent history, is that leishmanization
21 is a process where a scab is taken from a patient, from between
22 the leishmaniasis, and use that to inoculate into contacts and
23 other healthy volunteers, they do not get
24 malaria -- *Leishmania*.

25 But the downside here also, they develop the pathogenesis

1 in some cases. And also those were healed leishmaniasis cases;
2 those are not prone to reinfection of leishmaniasis. So there
3 is sort of reinfection immunity.

4 So what it does really -- all these things did not work
5 here with those approaches, but the whole parasite is a good
6 vaccine if it can be delivered in a proper way.

7 So here is the genetically modified live attenuated
8 vaccines program. Dr. Nakhasi, *Leishmania donovani* centrin
9 knockout, that's the molecule, and he has generated a whole
10 slew of these genetic mutants, but this is the one work I'm
11 going to present here today. I'll start from the bottom here.

12 So the advantage here is through homologous combination,
13 they produced this vaccine. So these are genetically defined
14 mutations, they are well characterized, they know where the
15 mutation is, and they can go back and check whether the
16 mutation still exists.

17 Controlled persistence without causing disease: This is
18 the data provided from an experimental model, so I'm going to
19 show you today a complete array of antigen vaccine. You can
20 have one -- vaccine, one antigen or two or three antigens here,
21 all the thousand *Leishmania* antigens are expressed. And also,
22 it mimics the natural course of infection the best possible
23 way, okay.

24 So about centrin molecule, just very briefly, it's part of
25 cell cycle. It's involved in the duplication and segregation

1 of centrosomes.

2 So this is the earlier work from his lab. This is the
3 centrin expression in wild-type parasite. You knock out the
4 centrin through homologous combination, and the expression is
5 gone. You add it back by epigenetic expression, and this is
6 the same thing, the expression. And here we add it back in
7 wild-type parasite.

8 When you look at the growth profile in these modified
9 parasites, where all these other forms have grown normally in
10 culture, in macrophages, the knockout parasites undergo one
11 cell cycle, one cell division, and then they have arrested
12 growth here. So they failed to grow these amastigotes in
13 culture.

14 Looking in the microscope, here's the normal cell division
15 in wild-type parasite, but the knockouts, they fail to undergo
16 mitosis; they have mitotic arrest. They don't undergo
17 cytokinesis, and they in turn just program cell death.

18 Okay, so what is the virulence profile, safety profile of
19 these knockouts, centrin knockout parasites? So this is in
20 hamsters here, wild-type infection. You will see all hamsters
21 die around post-22 after infection, but the centrin knockouts,
22 they live on. There's no mortality here.

23 Looking at the histology, you see this pathology in
24 granular formation with wild-type parasites, but not so in the
25 knockout parasite.

1 What is more important here is also they do not grow in
2 the vector sand fly. What that means is, after blood meal, you
3 can see parasite here, both wild-type and -- but post-Day 4 or
4 Day 8, you don't see any knockout parasite. So the
5 significance of this is that, in field, they're not going to
6 mix, the vaccination is not going to mix with the wild-type
7 parasite and create some super new -- and also they looked at
8 the effect of this vaccine.

9 The immunosuppression, they will turn to virulence; it did
10 not happen. These are mice maybe immunosuppressed during
11 infection following injection with wild-type and centrin
12 knockout parasites. The knockout parasites still retain their
13 growth activation. So if the vaccine is given in
14 immunocompromised people or people become immunocompromised
15 post-vaccination, the vaccine sort of still -- in those.

16 These are some of the results of this new mechanism and
17 protection in three different experimental models, in mice,
18 hamsters, and dogs, so looking at the immune mechanism profile
19 here. So as the immunity was defined for *Leishmania* more than
20 2 decades ago, this is exclusively a cellular response, Th1
21 mediator, multifunctional T cells. The similar thing we see in
22 mice, hamster, and dogs, and all of these are published in a
23 series of papers, this finding.

24 But also what you see here is the protection, so
25 immunization with *Leishmania* centrin knockout parasite. They

1 protect against homologous challenge, but also in mice, they're
2 protected against this heterologous *braziliensis* and *mexicana*
3 parasites. In hamster, the protection is against homologous,
4 and in dogs, the immunization is *donovani*, but following
5 challenge *infantum*, which is the dog counterpart, but they're
6 still protected.

7 But this is cross-species protections. So the many
8 species transmitted in endemic area, vaccination with one
9 species might lead to eradication of other ones, too.

10 Okay, so this is what is the target profile I had
11 mentioned earlier.

12 So this is the recommendation of an NIAID panel in 2015.
13 So they came up with five concepts here or recommendations.
14 Somebody who is starting to make malaria vaccine, what is the
15 target product profile they must meet in order to become ready
16 for clinical studies? And Dr. Nakhasi has addressed them all
17 one at the time here, so -- but I'm going to present the first
18 three here only.

19 So the first part of the work from Dr. Nakhasi, and also
20 the presentations by Dr. Ranadhir Dey and Dr. Sreenivas
21 Gannavaram, and I'm going to indicate when it comes to that,
22 okay.

23 So this is, what are the biomarkers of centrin vaccine-
24 induced immunity? So they find strong co-stimulatory signals,
25 CD40, CD80, CD83, and CD86. The classical activation of M1

1 markers on infected macrophages, so these are the macrophages
2 which are the pathway of a cellular Th1-mediated immunity, and
3 which makes sense here because this is all Th1-mediated
4 immunity.

5 There's a significant expansion of antigen expressed with
6 CD4 T cells after vaccination, the CD4 T central memory cells
7 that can be restimulated upon antigen stimulation in vitro, and
8 also CD8 cells are found to be involved in protective cellular
9 response, as been defined earlier. And Th17 cells were
10 necessary for protection against visceral leishmaniasis. So
11 all of this fits within the classical paradigm of immunity
12 against *Leishmania* in mouse models, experimental models.

13 So next, I'm going to move and tell the research which was
14 presented by Dr. Ranadhir Dey, efficacy against natural sand
15 fly infection.

16 So traditionally, so over the decades, the papers
17 published looking at the efficacy of *Leishmania* vaccines, they
18 use a needle challenge infection and show protection, okay. I
19 mean, but in nature that's not going to happen; it's not even
20 natural sand fly challenge. So their research at NIH about a
21 decade ago, I think, he showed using the *Leishmania major* model
22 in mice that the comparable needle challenge with a direct sand
23 fly challenge, and he found that the protection was abrogated
24 with the sand fly challenge.

25 So what was protected before the needle challenge was no

1 more protected when they used sand fly challenge. So that
2 showed that the sand fly challenge is the one that should be
3 used in evaluation of vaccines, and the needle challenge is too
4 artificial and is not rigorous enough.

5 So all the work Dr. Nakhasi's group is doing was really
6 done with *Leishmania donovani*, but there was no sand fly model.
7 So Dr. Dey took it upon himself, and working in concert with
8 NIAID, he developed this sand fly model.

9 So to feed them infected spleens, they put in these ice
10 cream cartons here, sand flies here, and by Day 11 they find
11 mature infection. So I guess it's the gut, the sand fly gut.
12 And then they used these infected sand flies, they can detect
13 parasites there with parasitological methods, and they used
14 those to fill these mice and hamsters here, and they did
15 compute the cycle; the infection was transmitted.

16 So here is the model that Ranadhir developed for *donovani*
17 which did not exist before, and they have gone on to do other
18 things here, identified the virulent factors, which is IL-1
19 beta. And also they have looked at the effect of gut
20 microbiota of sand fly and *Leishmania* transmission. None of
21 these we need to talk about today, but they are expanding this
22 research further, okay.

23 So what happens when they used this sand fly model
24 recently that was developed here? So here are the results. So
25 these are the immunized mice with the centrin knockout parasite

1 when the challenge is -- when they challenged with the infected
2 sand fly, these mice remain protected. They don't succumb to
3 infection, whereas unimmunized mice with the live sand fly
4 challenge, 60% of the hamsters -- actually, these are
5 hamsters -- develop severe visceral leishmaniasis and die. So
6 the challenge model is working in terms of measuring vaccine
7 efficacy.

8 If you look at the parasite burden here, there's
9 significantly higher parasite burden in the spleen of
10 non-immunized mice compared to immunized mice. So the
11 parasites, there's a significant reduction in parasite burden
12 following sand fly challenge in vaccinated mice, okay.

13 So next and last piece of this program is evaluating the
14 biomarkers of safety and efficacy for human trials. So the
15 idea here, this is short of clinical studies because there's no
16 human challenge model for *Leishmania*, unlike malaria. I mean,
17 one has to go through the expensive human challenge. There are
18 certain biomarkers that can be evaluated prior to human studies
19 are undertaken to make sure the vaccine is worthy of human
20 studies.

21 So this is the work from Dr. Sreenivas Gannavaram. What
22 he did was he took human PBMCs, coats them with GM-CSF to
23 transform into monocytes, macrophages. Then he infected them
24 with wild-type and centrin knockout *Leishmania* parasites.
25 Twenty-four hours later produced RNA and did microarrays in a

1 human chip, so these microarrays here, and I'm going to focus
2 on the relevant results here only.

3 So what came out, which seemed important, was the
4 differences between the wild-type and centrin parasites in
5 terms of miRNA, micro-RNA, and four of the -- four which were
6 uniquely expressed within these two here. And these are the
7 results here. This is wild-type or centrin knockout, and so
8 the three of the four are plotted here, and what stood out
9 really was miR-21. Because it has immunological significance,
10 miRNA-21 is involved in the -- it's a feedback mechanism in the
11 negative regulation of IL-12 expression. So it degrades miRNA
12 for IL-12, and IL-12 is the primary mediator of immunity for
13 *Leishmania*. So it does make sense that things fit together
14 when he expanded this further.

15 So these are the U.S. blood donors here, these are
16 individual donors, looking at the miRNA expression in
17 individual donors here. And when they use inhibitory
18 oligonucleotide, the response comes down. The centrin
19 knockout, there's lower response here for miRNA. So the less
20 miRNA means enhanced expression of IL-12 by these macrophages
21 here, and probably hence, more immunity by this vaccine, which
22 produces less parasite burden. It still gives very significant
23 immunity.

24 So just to put this together in a cartoon, what this
25 miRNA-21 is doing is pushing the immune response to a more

1 robust response to Th1-type responses compared to a Th2-type
2 response. And that's why the centrin knockout vaccines are so
3 efficacious.

4 Okay, so the conclusions I'm not going to read, just to
5 focus on the future studies here. They are working on the
6 evaluation of centrin knockout vaccine efficacy in co-endemic
7 infections, in malaria and Chagas disease.

8 The further characterization of early biomarkers of innate
9 response for monitoring immunogenicity/efficacy of this
10 vaccine.

11 The further characterization of induced immunity against
12 sand fly mediated challenge because most of the work so far was
13 done by needle challenge.

14 Product characterization of next-generation sequencing to
15 see where else the effect might be of centrin knockout deletion
16 in the parasite genome.

17 And also, those could be used as a marker of manufacturing
18 consistency then.

19 Development and evaluation of GMP material, that's the
20 next step.

21 And then Phase I through III clinical studies, and these
22 studies were funded through this Japan and Gates Foundation
23 CRADA.

24 I will move on to the next program here, Dr. Alain
25 Debrabant's program, and there was a joint presentation by

1 Dr. Rana Nagarkatti. Dr. Debrabant is trying to improve blood
2 safety by reducing the risk of transfusion-transmitted Chagas
3 disease.

4 So Chagas disease is mostly south of the border, endemic
5 in Mexico, Central America, and South America, in those areas a
6 very strong presence. It's a major part of life there. In 18
7 countries it's transmitted. Eight million cases (2005), new
8 infections every year and more than 12,000 deaths.

9 What is important about this parasite, these are, you
10 know, mean-looking parasites here; these establish chronic,
11 lifelong infection. Mostly they find this in heart, the
12 skeletal muscle, and in intestine, so that's where the
13 parasites hide lifelong. Twenty, thirty of these infections
14 will develop cardiac or digestive complications. So it's a
15 quite significant pathogenic burden. The transmission is via
16 triatomine bugs.

17 So what is the implication here for the United States? I
18 mean, we are aware of the amount of immigration from these
19 countries, Mexico and Central and South America. There's
20 supposed to be around 300,000 people in this country who were
21 exposed to Chagas disease.

22 The disease is transmitted by organ and blood transfusion
23 here. These are the cases listed here within the U.S. and
24 Canada, but when the blood -- when the donor is screening to
25 establish for antibody, 2,225 confirmed cases for antibody

1 positive blood donors. So definitely this shows the presence
2 of asymptomatic infected donors among us here in this country.

3 So Dr. Debrabant's program is directed to develop animal
4 models and imaging techniques to study the pathogenesis of
5 chronic Chagas disease and better understand the fluctuating
6 blood parasitemia observed in chronically infected blood
7 donors. So that's one goal.

8 The second goal is to develop non-serology, non-PCR
9 antigen detection assays using aptamers as alternative tests
10 for the screening and confirmatory testing of blood donors.
11 And I'll show you the reasons why, okay.

12 So here is the biology of parasite and how it relates to
13 the pathogenesis. This is the acute infection; it comes and
14 goes and disappear. So these are the amastigote forms in
15 tissue here, and the acute infection in tissue also then
16 disappear. This is the trypomastigotes in blood. So there's a
17 blood form, and there's a tissue form here. So what happens is
18 it's very difficult to detect parasite during the chronic phase
19 in blood, although it's not impossible. But the antibody
20 passes for lifetime. So what does it mean, presence of
21 antibodies? It becomes difficult to distinguish whether
22 there's an active infection from chronic infection.

23 So there are gaps in knowledge. So what are the gaps in
24 knowledge? Sites of *T. cruzi* infection during chronic phase
25 are poorly understood. I mean, they are known to be in heart

1 and skeletal muscle and digestive tract. What are the other
2 sites?

3 Consequences: The parasite reservoirs are not known.
4 They maintain chronic infection but cannot be assessed by drug
5 treatment. So the measure of drug treatment is not there. How
6 does one know the drug treatment was successful or not? And
7 that's a way -- extremely toxic also. So blood does not appear
8 in blood, parasite does not appear in blood, and antibodies
9 persist, so whether drugs work or not. And also there needs to
10 be discovery of how does one measure, short of necroscopy and
11 measuring the entire mouse?

12 Okay, so what he's trying to do, identify parasite that
13 survives during chronic disease in the mouse model. So he's
14 using imaging, using transgenic bioluminescent parasites, and
15 then he's developing a newer imaging method to detect
16 biomarkers of *T. cruzi* infected red cells, because in natural
17 infection one cannot find transgenic parasites, so one needs to
18 know the biomarkers of infected red cells. Imaging has to work
19 for people.

20 Okay, so this is imaging in mice. So he's using the
21 nanoluciferase as the protein, and he has constructed these
22 transgenic parasites and developed these experimental models.

23 So this is the imaging done Day 7 post-infection and
24 monitored through Day 126 post-infection. So you can detect
25 infection by imaging through Day 126, but the microscopy

1 becomes a negative. So the imaging is already more sensitive
2 than microscopy.

3 But imaging simply does not tell what are the viruses of
4 infection. So by necroscopy, they harvested the tissue, so the
5 heart, lung, liver, visceral fat, the skeletal muscle, and the
6 spleen, and what they find here, the results make sense here.
7 They find the parasites by measuring the bioluminescence in
8 skeletal muscle, in heart, in intestine, but also they find
9 parasites in mesenteric fat, lungs, and liver, but not so in
10 the spleen and the visceral fat. So it seems like the assay is
11 doing what it's supposed to do, but the next question is how to
12 take this for human use, and for that, they need to know the
13 biomarkers.

14 So what they have done here, this is the mouse cells
15 infected with parasites, and the cell extract from infected
16 cells and non-infected cells. Here you see the difference.
17 This is the one spot that they found, which was unique infected
18 cells, and mass spectroscopy, they see a peak here and
19 eventually, by mass spectroscopy, what they find is 39 proteins
20 were expressed in infected cells as compared to non-infected
21 cells.

22 So what are those 39 proteins? Thirty-two of those are
23 from the host organ. Seven are unique to *T. cruzi*, and here,
24 what they found via bioinformatics, there is information about
25 the proteome. These are the molecules. So now they're

1 developing this particularly against these seven molecules and
2 see if they can utilize to develop the assay by imaging using
3 these molecules. So they target these expressive molecules,
4 and hopefully they will bind to the infected cells, and they
5 can use them for imaging, the human application, okay. But the
6 applications, I don't know if I emphasized this clear enough
7 for you. The implications are one could use it to monitor drug
8 efficacy, the effect for drugs in humans by imaging.

9 Okay, so the next program, which is going on for many
10 years, and Dr. Nagarkatti is spearheading this effort in
11 Dr. Debrabant's lab, so development of non-serology, non-PCR
12 biomarkers.

13 Just because the antibodies don't allow to distinguish
14 between active and chronic infection, what one needs is one
15 needs to find active infections and use non-serology
16 biomarkers, which are non-PCR based because PCR has not been
17 shown to be effective.

18 So the approach they have taken is to utilize the
19 aptamers. What are these aptamers? These are small synthetic
20 nucleic acids, single-stranded RNA with well-defined and stable
21 3D structures that bind with high affinity, and they're
22 target-based on molecular recognition, and their binding
23 affinity is extremely high, more so than monoclonal antibodies.
24 The target does not need to be antigenic in order to be
25 recognized. They can be easily produced, they can be

1 synthesized, and they can be modified and put tags on them
2 really for detection purposes, so they are ideal for that
3 purpose.

4 So this is the TESA antigens, *T. cruzi* excreted and
5 secreted antigens. Here, these antigens are parasite secretes,
6 and this is the infection mouse fibroblast 3T3 cells. This is
7 the culture suspended and purified from there and then use this
8 to screen a library for aptamers, to identify aptamers which
9 are unique against these TESA antigens. In the process, they
10 identified around seven aptamers or so, and I think they
11 focused on one. And based on this, they have developed a
12 simple ELISA, but they call it ELA, enzyme-linked aptamer
13 assay.

14 So this is the demonstration to use this aptamer assay to
15 monitor the efficacy of drug treatments, something I've been
16 talking about many times by now.

17 So this is the infection in -- this is the infection is
18 Swiss mouse using *T. cruzi* Colombiana strain. I think they
19 consider day post-130 as chronic phase infection when this
20 cannot be detected by parasitological methods in blood anymore,
21 and then they sacrifice mice on Day 170 post-infection here.
22 In between, during this window of 20 days, they give them a
23 daily dose of benznidazole, a common Chagas disease drug, to
24 these mice and then monitor how effective their treatment was
25 by aptamer assay, okay.

1 So this is 130 days. You can see how clear the aptamer
2 detection is here between non-infected ones, highly infected.
3 This is a hundred -- middle time point here, detection. So you
4 can see the aptamer detection has come down, but it's still
5 very clear. And this is the post-drug treatment here, the
6 aptamer detection has come down, but it still has not become
7 negative.

8 So what it shows, the drug treatment did not 100% cure the
9 resident parasites in the tissue. So one can just imagine the
10 application of these aptamers in drug discovery programs and to
11 monitor the drug treatment, okay.

12 Next is the application of this aptamer assay ELISA in
13 real-life scenario. So these are samples they got through
14 collaboration from Brazil. These are characterized Chagas
15 disease cases. So this is the assay, these are control samples
16 here. So these are the samples which are reactivated Chagas
17 disease, for whatever reasons, and the assay detects most of
18 these reactivated cases. So it has been effective in detection
19 in these clinical Chagas disease samples.

20 These are the known cardiac patients for Chagas disease,
21 and it detected more than half of these samples, not 100%. But
22 where the assay was not effective was detection of the
23 asymptomatic infections. So I mean, that's where the need is
24 in terms of donor screening, so it was obvious to them that the
25 assay needs to be modified further. So they're developing

1 another assay using two aptamer-based assays. One is the
2 capture aptamer assay. The second is the detection aptamer.
3 And in order to do that, and they believe this assay was more
4 sensitive with less background, so the threshold, cutoff
5 threshold can be lower, then, for detection.

6 So in order to do that, they are identifying at this time,
7 instead of unknown targets, they're looking at the known
8 targets of secreted proteins. So they went to the proteome
9 here, the *T. cruzi* proteome or secretome, and there appeared
10 six or seven molecules from there produced synthetic peptides
11 in immunized rabbits. And using these purified polyclonal
12 antibodies, they can detect these biomarkers, secreted
13 biomarkers in serum. So it seems like these are secreted
14 detectable biomarkers, and now they are trying to identify
15 these aptamers unique to these molecules.

16 So that's somebody else's results so far, and I'm going to
17 talk about the future studies. So compare the tissue
18 distribution using chronic phase of genetically distinct
19 transgenic parasites using bioluminescence imaging. So
20 genetically distinct means from different geographical areas.
21 So far the work was done with Colombiana strain.

22 Confirm host cell surface expression and develop aptamers
23 against *T. cruzi* biomarkers of infected cells to detect wild-
24 type parasite reservoirs by in vivo imaging, something I talked
25 about with the imaging studies.

1 Develop next generation of target-capture ELA assays based
2 on newly identified candidate blood biomarkers. I mentioned
3 that also.

4 And then evaluate the performance of the target-capture
5 ELA assays with endemic and U.S. blood donor populations for
6 use as screening and/or confirmatory assays. So that will be
7 the ultimate application of their work.

8 So moving along, the last one now -- just bear with me;
9 there's more. This is Dr. Duncan's research program. So
10 Dr. Duncan's research program is solely dedicated on technology
11 evaluation and support the element of novel technologies that
12 could be then brought into donor screening arena.

13 So if one looks at the advances on cancer, in terms of
14 diagnostics, it's moving very fast-paced. But the same thing
15 is not translated in donor screening arena. So what Dr. Duncan
16 is doing, he's looking at the technologies which are available
17 in the industry, in academia, who are developing new
18 technologies. He's always on the lookout and collaborating
19 with those people to evaluate them for detection of bloodborne
20 pathogens. And eventually they will hopefully become with
21 regarding to donor screening.

22 So the landscape of the donor screening is changing very
23 fast. It's not exactly the same as it used to be, and we have
24 seen here how many -- a handful of pathogens that FDA
25 recommends for detection.

1 But again, then there's the emerging agents, there's the
2 Zika, there's dengue, there's *Babesia*. They come and go. And
3 then there's restricted geographic risk. There's seasonal
4 risk; an example is dengue and chikungunya. And then cost
5 effectiveness of screening multiple new pathogens.

6 So every time a new pathogen comes, how does one model
7 that, really? And the answer probably lies in multiplex
8 detection, and also it has to be adaptable so the new pathogens
9 can be added and subtracted as the need is. But nonetheless,
10 the assay has to be sensitive, at least as sensitive as the
11 current testing. It has to be specific, robust, and adaptable.
12 And Dr. Duncan's program is trying to address all of those.

13 But in the end, the advanced technologies contributes to
14 the area of public health beyond blood safety and prepares FDA
15 personnel to review submissions of new technology devices. And
16 that's the ultimate goal here, to prepare all of us with the
17 technology.

18 So over the period, what he presented at the last site
19 visit, there are these five different technologies or methods
20 that he has reviewed so far, and I'm going to go over one at a
21 time.

22 So the first one is this OpenArray bloodborne pathogen
23 panel on a chip. So the outstanding or the unique feature of
24 this is so there are -- I think there are 16 wells on each of
25 these panels here on this slide, so each pathogen can be

1 applied to this open well individually so there's no mixing
2 there. So what that means is there's an issue of interference
3 or cross-contamination does not exist, and also the new panels
4 can be added and subtracted without much problem.

5 So these are primer and probe sets that he tested. There
6 are 10 of these pathogens here that he had evaluated here, and
7 the result is summarized in this table here. So on this panel
8 here, what you see is the results of 10 licensed tests, and the
9 last one you see the concordance with the OpenArray technology
10 that he evaluated.

11 The numbers are a sample that he tested, okay. For HIV
12 there was 96% concordance; HCV, 93; HBV was 100%. Negative
13 control was 93, and overall 94% concordance.

14 So this is, you see, not ready for prime time, but the
15 idea here is to evaluate technologies as they come, and then
16 one can work on the improvements where the differentiation
17 lies. And we will not know that until we go through this
18 process arbitration, okay.

19 So the next technology that he evaluated is the bloodborne
20 pathogen resequencing microarray. So this is the Affymetrix
21 GeneChip-based microarray, which they produced a custom design
22 based on a special need for us really, and this is based on
23 target sequencing of the targeted region of pathogen, not the
24 entire genome but the targeted regions, and it included the
25 sequences for 55 bloodborne viruses, 5 bacteria, and 16

1 protozoan species, strains, and genotypes. So the chip itself
2 is far beyond what you need right now, but it offers the
3 potential of what can be done actually.

4 And here are the results listed here. Using the spiked
5 pathogens for HIV, Dr. Duncan could detect all five of five
6 times. HBV can be detected two of two times; HCV genotype 2a,
7 three of three times. So the assay did work when we use the
8 spiked pathogens.

9 When you use the donor repository, results from the
10 licensed tests for donor HCV, it worked. HIV, it did not work
11 at the time it was tested. And the other one worked. So
12 they're overall negative, there is some problem, a specificity
13 problem, and total correctness is 91%. And again, I think that
14 work needs to be done in this, but this is how the technology
15 exists, okay.

16 But the unique features of this technology, it allows one
17 to look at the nucleotide changes in the target pathogen. So
18 in one way, this also tells the genetic drift that may be
19 happening or the assay is failing to detect, so that's an
20 advantage. So it may be more suited, the way the technology
21 exists right now, not for donor screening but more in the
22 confirmatory tests in blood safety arena.

23 Okay, so the next one is resequencing microarray (RMA) for
24 the Ebola virus genome. So this project was started in
25 response to a request from the Medical Countermeasures Program,

1 and the funding was given to Dr. Duncan based on his previous
2 work on resequencing microarrays, and the idea here is to have
3 a method which -- to next-generation sequencing to look at
4 the -- rapidly monitor the Ebola virus genome drift.

5 The technology does offer certain advantages over next-
6 generation sequencing because this is basically bioinformatics
7 really. Actually, you don't need sophisticated -- the results
8 come out ready to use. You don't need sophisticated
9 bioinformatics, algorithms, and tools here.

10 So here the idea is to look at the viral evolution, and
11 eventually you can look at those sequences, genetic drift, and
12 look at the adaptation to humans, whether in terms of reduced
13 virulence or enhanced virulence and how the virus is adapting.
14 And all of this came about in response to the Ebola virus
15 epidemic in West Africa, okay.

16 So the way Dr. Duncan evaluated this technology, he went
17 about by looking at the average Ebola virus viral load, which
18 is 2.7×10^{-7} copies per mL during acute infection. So the
19 idea is to measure the sensitivity during acute phase of
20 infection here, and the corresponding volumes of blood samples
21 they used was 15 μ L. One detects 400,000 copies and so forth,
22 with a reducing sample volume here. And eventually, the last
23 one is 400 genomic copies of virus here, okay.

24 And using this method, when you look at this algorithm of
25 virus quality, he scored based on how good the sequences come

1 out from this really by this technology. With 15 μ L blood and
2 400,000 copy number, one gets close to 95% of quality score
3 here. So it's correct placement on tree detection. What this
4 means, it will allow you to identify, correctly identify the
5 circulating viral strain here.

6 But what is important here, even in the four -- even with
7 the 40 copies here, it's 22% quality score, which still allows
8 to detect the circulating viral strain. So he's still working
9 further to improve the quality of sequencing score, and that's
10 where the project is. I understand he's writing a manuscript
11 on this or the findings, okay.

12 By the way, I mean, all of these publications, they are
13 generally listed in the slides.

14 So as a proof of concept with how good these detections
15 are in the Ebola genome using this technology, so what he
16 relied on is this VSV-engineered recombinant virus where the
17 Ebola virus glycol surface protein is expressed. This is the
18 work with Dr. Kris Konduru and Dr. Kaplan, in the Level 3.

19 And so what Robert did was he took the RNA from this
20 artificially engineered VSV virus expressing GP, Ebola GP, and
21 then monitored them for several passages and see how the
22 sequence changes are constantly, and he was able to detect
23 these variations after two different passages. So this kind
24 offers the proof of concept in important viral protein that's
25 involved in cell entry, immunity, and neutralization.

1 Okay, so I'm going to talk about his next technology that
2 he's evaluating. Of all the technologies, this is more in the
3 infancy phase. This is the earlier work so far here. So this
4 is the laser-based, rapid multiplex detection of bloodborne
5 pathogens, and this work he's doing with Creative LIBS
6 Solutions. So these are the physicists, I believe, in the
7 University of Arizona somewhere. They are using the laser
8 technology for detection of pathogens on a filter paper. And I
9 believe if it works, it will be a game changer, but it's a very
10 early state.

11 So how does it work? The light released after a laser
12 strikes a blood sample can be resolved by a spectrophotometer
13 to produce a spectral fingerprint that is unique for each
14 pathogen that may infect blood.

15 So the concept, I guess, is too much physics for us, but
16 it's simple really. You spot a spot of blood on a filter
17 paper, bake it for half an hour, I believe, and then simply
18 strike this laser light, and based on the spectrophotometer,
19 the tracing you get, it allows -- I believe it allows you to
20 identify a unique fingerprint for a pathogen. So a low level
21 of skill is required to operate the instrument, and the answer
22 is available within minutes.

23 So this is the work that Dr. Duncan has so far, using
24 *S. aureus*. This is the bacterial concentration per milliliter,
25 10,000/mL. He can detect it five out of five times; up to

1 1,000, 100, and even 10 bacteria he can detect. No blood
2 alone. I think there's some problem here, but the detection is
3 accurate even up to 10 bacteria per mL. And then when the
4 coded samples are matched, 100 to 10,000, three out of five
5 times was detected. And obviously, there's a lot of work out
6 with this, I mean, the challenge being the cellular pathogens,
7 he tried to take pathogens, but his work -- in the process of
8 working on these and working out the problems, okay.

9 So the last piece of his project is for the
10 standardization of spiking methods for evaluating diagnostics.
11 So basically how the spiked blood with pathogen can be used to
12 develop methods for validation, spiking methods, validation
13 methods, and then those spiked samples, how they can be used
14 for evaluation of diagnostics.

15 And it has really direct relevance for our work, also.
16 This is what we do. We develop reference panels for the
17 evaluation of donor screening programs, and this work was
18 initiated at the request of NIAID through an interagency
19 agreement.

20 So they requested Dr. Duncan to study to validate pathogen
21 spiking methods that they could recommend to device developers
22 that they fund. So Dr. Duncan developed standardized methods
23 for model viruses, I believe, HIV, bacterial and protozoan
24 pathogens, including *Babesia* and malaria and the three
25 biothreat bacteria surrogates.

1 Each step in the process of characterization of the
2 pathogenic strain was grown in cultures, so very
3 interesting -- where the pathogen came from, how it was
4 cultured in terms of the counting of the pathogen and so forth,
5 harvest conditions, and catalogue them properly so the method
6 can be reproduced, and then the quantification to long-term
7 storage conditions were optimized. I mean, this is core to
8 most of the things we do.

9 And then validate to provide a method that developers can
10 follow to achieve reproducible results. That's part of what is
11 published now.

12 And then also, then use an in-house method for validation
13 of detection, and it's a PCR method. And then another one,
14 target-enriched PCR-based detection methods to compare
15 to -- again, this is published now. And this project is
16 complete now, and the experience gained from this hopefully
17 will help us in the future.

18 Yeah, almost coming to 19 seconds left for my talk, and
19 I'm almost done now.

20 Just to summarize his work and his future plans, OpenArray
21 part of work is complete. Bloodborne pathogen resequencing
22 microarray, microarrays have been manufactured for future
23 additional work and will complete the evaluation with spikes
24 and blood donor specimens to take the work to completion,
25 evaluate the technology.

1 Ebola genome resequencing microarray, writing a manuscript
2 on this; test additional laboratory-cultured Ebola and clinical
3 specimens for further validation of the microarray.

4 And the LIBS, the laser technology is complete. Current
5 studies for multiplex detection of bloodborne pathogens on the
6 bench top system so it could be applicable in actual testing
7 settings, and collaboration on validation of assembled
8 instrument to ensure the device is suitable for blood safety
9 application.

10 So I'll stop now, and thank you.

11 DR. STOWELL: Thank you, Dr. Kumar, for presenting a huge
12 amount of information in a very organized and clear fashion.

13 At this time we can have questions for Dr. Kumar or for
14 any of the other speakers. Any comments or questions from the
15 members of the Committee?

16 (No response.)

17 DR. STOWELL: Thank you, Dr. Kumar.

18 DR. KUMAR: Thank you.

19 DR. STOWELL: The next step here is for the Open Public
20 Hearing. We don't have any names of anybody in advance who
21 wanted to make comments, but if there's anybody now in the
22 audience who would like to approach the microphone, please do
23 so.

24 (No response.)

25 DR. STOWELL: And seeing no takers, I then conclude that

1 we have nobody who wants to make any comments, so from that we
2 can move on.

3 At this point, actually, I think we are ready for the
4 closed committee discussion of the report and also with that,
5 of course, Dr. Maguire's presentation. So I believe the
6 members of the FDA are dismissed with thanks.

7 And let's take a 5-minute break.

8 (Whereupon, at 10:11 a.m., the open session of the meeting
9 was concluded.)

10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

CERTIFICATE

This is to certify that the attached proceedings in the
matter of:

115TH MEETING OF THE BLOOD PRODUCTS ADVISORY COMMITTEE

April 5, 2017

Silver Spring, Maryland

were held as herein appears, and that this is the original
transcription thereof for the files of the Food and Drug
Administration, Center for Biologics Evaluation and Research.

Tom Bowman

Official Reporter