

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ANTIMICROBIAL DRUGS ADVISORY COMMITTEE (AMDAC)

Thursday, April 13, 2017

8:30 a.m. to 4:36 p.m.

Tommy Douglas Conference Center
10000 New Hampshire Avenue
Second Floor
Silver Spring, Maryland

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Lauren Tesh, PharmD, BCPS**

4 Division of Advisory Committee and Consultant

5 Management

6 Office of Executive Programs, CDER, FDA

7

8 **ANTIMICROBIAL DRUGS ADVISORY COMMITTEE MEMBERS**

9 **(Voting)**

10 **Ellen Andrews, PhD**

11 *(Consumer Representative)*

12 Executive Director

13 CT Health Policy Project

14 New Haven, Connecticut

15

16

17

18

19

20

21

22

1 **Lindsey R. Baden, MD**

2 *(Chairperson)*

3 Director of Clinical Research

4 Division of Infectious Diseases

5 Brigham and Women's Hospital

6 Director, Infectious Disease Service

7 Dana-Farber Cancer Institute

8 Associate Professor, Harvard Medical School

9 Boston, Massachusetts

10

11 **Nina M. Clark, MD**

12 Associate Professor

13 Director, Transplant Infectious Disease Program

14 Division of Infectious Diseases

15 Loyola Medical Center

16 Maywood, Illinois

17

18

19

20

21

22

1 **Amanda H. Corbett, PharmD, BCPS, FCCP**

2 Clinical Associate Professor, Division of
3 Pharmacotherapy and Experimental Therapeutics
4 Associate Director of Global Engagement
5 Office of Global Engagement
6 Eshelman School of Pharmacy
7 Global Pharmacology Coordinator
8 Institute of Global Health and Infectious Diseases
9 School of Medicine
10 The University of North Carolina
11 Chapel Hill, North Carolina

12
13 **Demetre C. Daskalakis, MD, MPH**

14 Acting Deputy Commissioner
15 Division of Disease Control
16 New York City Department of Health and
17 Mental Hygiene
18 Long Island City, New York

19
20
21
22

1 **Dean A. Follmann, PhD**

2 Assistant Director for Biostatistics

3 Chief Biostatistics Research Branch

4 National Institute of Allergy and Infectious

5 Diseases (NIAID)

6 National Institutes of Health (NIH)

7 Bethesda, Maryland

8
9 **Michael Green, MD, MPH**

10 Professor of Pediatrics, Surgery and Clinical &

11 Translational Science

12 University of Pittsburgh School of Medicine

13 Division of Infectious Diseases

14 Director, Antimicrobial Stewardship & Infection

15 Prevention

16 Co-Director, Transplant Infectious Diseases

17 Children's Hospital of Pittsburgh

18 Pittsburgh, Pennsylvania

19

20

21

22

1 **Barbara M. Gripshover, MD**

2 Associate Professor of Medicine

3 University Hospitals Cleveland Medical Center

4 Case Western Reserve University

5 Division of Infectious Diseases and HIV Medicine

6 Cleveland, Ohio

7

8 **Jonathan Honegger, MD**

9 Assistant Professor of Pediatrics

10 The Ohio State University College of Medicine

11 Division of Infectious Diseases and Center for

12 Vaccines and Immunity

13 Nationwide Children's Hospital

14 Columbus, Ohio

15

16

17

18

19

20

21

22

1 **Vincent Lo Re, MD, MSCE**

2 Assistant Professor of Medicine and Epidemiology

3 Division of Infectious Diseases

4 Department of Medicine

5 Center for Clinical Epidemiology and Biostatistics

6 Perelman School of Medicine

7 University of Pennsylvania

8 Philadelphia, Pennsylvania

9

10 **Ighovwerha Ofotokun, MD, MSc**

11 Professor of Medicine

12 Division of Infectious Diseases

13 Department of Medicine

14 Emory University School of Medicine

15 Atlanta, Georgia

16

17 **Joanna M. Schaenman, MD, PhD**

18 Assistant Professor of Medicine

19 Division of Infectious Diseases

20 David Geffen School of Medicine at UCLA

21 Los Angeles, California

22

1 **Peter Weina, MD, PhD, FACP, FIDSA**

2 Colonel, Medical Corps, USA

3 Chief, Department of Research Programs

4 Walter Reed National Military Medical Center

5 Division of Education, Training and Research

6 Bethesda, Maryland

7

8 **TEMPORARY MEMBERS (Voting)**

9 **John E. Bennett, MD**

10 Chief, Clinical Mycology Section

11 Laboratory of Clinical Infectious Diseases

12 NIAID, NIH

13 Bethesda, Maryland

14

15 **Matthew Bidwell Goetz, MD**

16 Chief, Infectious Diseases, VA Greater Los Angeles

17 Healthcare System

18 Professor of Clinical Medicine

19 David Geffen School of Medicine at UCLA

20 Los Angeles, California

21

22

1 **Joan F. Hilton, DSc, MPH**

2 Professor

3 Department of Epidemiology and Biostatistics

4 School of Medicine

5 University of California, San Francisco

6 San Francisco, California

7

8 **Debra G. McCall, MBA**

9 *(Patient Representative)*

10 Murrieta, California

11

12 **Thomas A. Moore, MD**

13 Infectious Disease Consultants

14 Wichita, Kansas

15

16

17

18

19

20

21

22

1 **Yu Shyr, PhD**

2 Harold L. Moses Chair in Cancer Research

3 Director, Vanderbilt Center for Quantitative

4 Sciences

5 Director, Vanderbilt Technologies for Advanced

6 Genomics Analysis and Research Design

7 Associate Director for Quantitative Sciences

8 Integration, VICC

9 Professor of Biostatistics, Biomedical

10 Informatics, and Cancer Biology

11 Vanderbilt University Medical Center

12 Nashville, Tennessee

13
14 **Melvin P. Weinstein, MD**

15 Professor of Medicine and Pathology &

16 Laboratory Medicine

17 Chief, Division of Infectious Diseases, Allergy &

18 Immunology

19 Vice Chair for Clinical Affairs, Department of

20 Medicine

21 Rutgers Robert Wood Johnson Medical School

22 New Brunswick, New Jersey

1 **ACTING INDUSTRY REPRESENTATIVE TO THE COMMITTEE**

2 **(Non-Voting)**

3 **Lynn Marks, MD**

4 Senior Vice President

5 Projects, Clinical, and Sciences Team

6 Glaxo Smith Kline

7 Collegetown, Pennsylvania

8
9 **FDA PARTICIPANTS (Non-Voting)**

10 **Edward M. Cox, MD, MPH**

11 Director

12 Office of Antimicrobial Products (OAP)

13 Office of New Drugs (OND), CDER, FDA

14
15 **Sumathi Nambiar, MD, MPH**

16 Director

17 Division of Anti-Infective Products (DAIP)

18 OAP, OND, CDER, FDA

19
20 **Yuliya I. Yasinskaya, MD**

21 Medical Team Leader

22 DAIP, OAP, OND, CDER, FDA

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Peter Kim, MD

Clinical Reviewer

DAIP, OAP, OND, CDER, FDA

Dionne L. Price, PhD

Director

Division of Biometrics IV

Office of Biostatistics (OB)

Office of Translational Sciences (OTS)

CDER, FDA

Daniel B. Rubin, PhD

Biometrics Reviewer

OB, OTS, CDER, FDA

C O N T E N T S		
AGENDA ITEM	PAGE	
Call to Order and Introduction of Committee		
Lindsey Baden, MD	15	
Conflict of Interest Statement		
Lauren Tesh, PharmD, BCPS	20	
FDA Introductory Remarks		
Edward Cox, MD, MPH	24	
FDA Presentations		
Regulatory Background		
Sumathi Nambiar, MD, MPH	29	
March 2017 FDA Public Workshop Summary		
Yuliya Yasinskaya, MD	49	
Industry Presentation		
Challenges with Clinical Trial Design for a Drug Targeting a Single Species of Bacteria: <i>Acinetobacter baumannii</i>		
Robin Isaacs, MD	61	
FDA Presentation		
Example of a Development Program Targeting <i>Pseudomonas aeruginosa</i>		
Peter Kim, MD, MS	73	

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	Presentation by Professional Organization	
4	Developing Anti-Infective Drugs for	
5	Patients with Unmet Need	
6	Trish Perl-DeLisle, MD	78
7	Clarifying Questions	102
8	Open Public Hearing	180
9	Clarifying Questions (continued)	207
10	Charge to the Committee	
11	Edward Cox, MD, MPH	262
12	Questions to the Committee and Discussion	267
13	Adjournment	357
14		
15		
16		
17		
18		
19		
20		
21		
22		

1 P R O C E E D I N G S

2 (8:30 a.m.)

3 **Call to Order**

4 **Introduction of Committee**

5 DR. BADEN: Good morning. I would first
6 like to remind everyone to please silence your cell
7 phones, smartphones, and any other devices if you
8 have not already done so. I would also like to
9 identify the FDA press contact, Theresa Eisenman in
10 the back on the left. If you are present, thank
11 you for standing.

12 My name is Dr. Lindsey Baden. I'm
13 chairperson of the Antimicrobial Drugs Advisory
14 Committee. I would now like to call this meeting
15 to order. We'll start by going around the table
16 and introducing ourselves. Let's start on the far
17 right.

18 DR. MARKS: I'm Lynn Marks. I'm an
19 infectious disease physician and a senior vice
20 president at GlaxoSmithKline. I'm in the research
21 and development group, focusing on antimicrobial
22 resistance in the challenges of drug development.

1 DR. HILTON: I'm Joan Hilton, professor of
2 biostatistics at UCSF.

3 DR. WEINSTEIN: I'm Mel Weinstein, professor
4 of medicine and pathology at Rutgers Robert Wood
5 Johnson Medical school and chief of ID at the med
6 school.

7 DR. MOORE: I'm Tom Moore. I'm at the
8 University of Kansas in Wichita, Kansas.

9 DR. SHYR: Yu Shyr, biostatistician from
10 Vanderbilt University.

11 MS. MCCALL: Debra McCall, patient
12 representative.

13 DR. ANDREWS: Ellen Andrews from the
14 Connecticut Health Policy project, and I'm a
15 consumer representative.

16 DR. CLARK: Nina Clark. I'm in infectious
17 diseases at Loyola University in Maywood, Illinois.

18 DR. OFOTOKUN: Ighov Ofotokun, professor of
19 medicine at Emory University, infectious diseases
20 physician.

21 DR. DASKALAKIS: Demetre Daskalakis, acting
22 deputy commissioner of disease control, New York

1 City Department of Health and Mental Hygiene.

2 DR. CORBETT: I'm Amanda Corbett, clinical
3 associate professor at the University of North
4 Carolina Eshelman School of Pharmacy.

5 DR. WEINA: Peter Weina, infectious disease,
6 Walter Reed National Military Medical Center.

7 DR. BADEN: Lindsey Baden. I'm in
8 infectious diseases at Brigham and Women's Dana-
9 Farber and Harvard Medical School and an ID
10 practitioner and researcher.

11 DR. TESH: Lauren Tesh, designated federal
12 officer for AMDAC.

13 DR. GREEN: Michael Green, pediatric
14 infectious diseases at the Children's Hospital
15 Pittsburgh and the University of Pittsburgh.

16 DR. GRIPSHOVER: Barbara Gripshover. I'm in
17 infectious diseases at Case Western Reserve
18 University in Cleveland.

19 DR. FOLLMAN: I'm Dean Follman, head of
20 biostatistics at the National Institute of Allergy
21 and Infectious Diseases.

22 DR. SCHAENMAN: Joanna Schaenman, infectious

1 diseases, David Geffen School of Medicine at UCLA.

2 DR. HONEGGER: Jonathan Honegger, pediatric
3 infectious diseases, Nationwide Children's Hospital
4 in the Ohio State University.

5 DR. LO RE: Vin Lo Re, Division of
6 Infectious Diseases, Center for Clinical
7 Epidemiology and Biostatistics at the University of
8 Pennsylvania.

9 DR. GOETZ: Here I am, right by
10 the -- Matthew Goetz, chief of infectious diseases,
11 VA Greater Los Angeles, professor of medicine,
12 David Geffen School of Medicine, UCLA.

13 DR. BENNETT: I'm John Bennett, infectious
14 disease, part of the intramural program of NIAID at
15 NIH.

16 DR. RUBIN: Dan Rubin, Office of
17 Biostatistics, CDER, FDA.

18 DR. PRICE: Dionne Price, Office of
19 Biostatistics, CDER, FDA.

20 DR. KIM: Peter Kim, medical officer,
21 Division of Anti-Infective Products, FDA.

22 DR. YASINSKAYA: Yuliya Yasinskaya, medical

1 officer, CDER, FDA.

2 DR. NAMBIAR: Good morning, Sumathi Nambiar,
3 director, Division of Anti-Infective Products,
4 CDER, FDA.

5 DR. COX: Ed Cox, director of the Office of
6 Anti-Microbial Products, CDER, FDA.

7 DR. BADEN: For topics such as those being
8 discussed at today's meeting, there are often a
9 variety of opinions, some of which are quite
10 strongly held. Our goal is that today's meeting
11 will be a fair and open forum for discussion of
12 these issues, and that individuals can express
13 their views without interruption.

14 Thus, as a gentle reminder, individuals will
15 be allowed to speak into the record only if
16 recognized by the chairperson. We look forward to
17 a productive meeting, and I'm very appreciative of
18 everyone making the time to join us for this
19 meeting on such an important topic.

20 In the spirit of the Federal Advisory
21 Committee Act and the Government in the Sunshine
22 Act, we ask the advisory committee members take

1 care that their conversations about the topic at
2 hand take place in the open forum of the meeting.

3 We are aware that members of the media are
4 anxious to speak with the FDA about these
5 proceedings. However, FDA will refrain from
6 discussing the details of this meeting with the
7 media until its conclusion. Also, the committee is
8 reminded to please refrain from discussing the
9 meeting topic during breaks or lunch. Thank you.

10 I'll now pass it to Dr. Lauren Tesh, who
11 will read the Conflict of Interest Statement.

12 **Conflict of Interest Statement**

13 DR. TESH: The Food and Drug Administration
14 is convening today's meeting of the Anti-Microbial
15 Drugs Advisory Committee under the authority of the
16 Federal Advisory Committee Act of 1972. With the
17 exception of the industry representative, all
18 members and temporary voting members of the
19 committee are special government employees or
20 regular federal employees from other agencies and
21 are subject to federal conflict of interest laws
22 and regulations.

1 The following information on the status of
2 the committee's compliance with federal ethics and
3 conflict of interest laws, covered by but not
4 limited to those found at 18 U.S.C., Section 208,
5 is being provided to participants in today's
6 meeting and to the public.

7 FDA has determined that members and
8 temporary voting members of the committee are in
9 compliance with federal ethics and conflict of
10 interest laws. Under 18 U.S.C., Section 208,
11 Congress has authorized FDA to grant waivers to
12 special government employees and regular federal
13 employees who have potential financial conflicts
14 when it is determined that the agency's need for a
15 special government employee's services outweighs
16 his or her potential financial conflict of interest
17 or when the interest of a regular federal employee
18 is not so substantial as to be deemed likely to
19 affect the integrity of the services which the
20 government may expect from the employee.

21 Related to the discussion of today's
22 meeting, members and temporary voting members of

1 the committee have been screened for potential
2 financial conflicts of interest of their own, as
3 well as those imputed to them, including those of
4 their spouses or minor children, and for purposes
5 of 18 U.S.C. Section 208, their employers. These
6 interests may include investments, consulting,
7 expert witness testimony, contracts, grants,
8 CRADAs, teaching, speaking, writing, patents and
9 royalties, and primary employment.

10 Today's agenda involves the discussion of
11 the development of antibacterial drugs that treat a
12 single species of bacteria when the target species
13 infrequently causes infections. Examples of such
14 drugs include those that are only active against
15 *Pseudomonas aeruginosa* and *Acinetobacter baumannii*.

16 This is a particular matters meeting during
17 which specific matters related to antimicrobial
18 antibacterial drug development programs will be
19 discussed. Based on today's agenda for the meeting
20 and all financial interests reported by the
21 committee members and temporary voting members, no
22 conflict of interest waivers have been issued in

1 connection with this meeting.

2 To ensure transparency, we encourage all
3 standing committee members and temporary voting
4 members to disclose any public statements that they
5 have made concerning the meeting topic.

6 With respect to FDA's invited industry
7 representative, we would like to disclose that
8 Dr. G. Lynn Marks is participating in this meeting
9 as a non-voting industry representative, acting on
10 behalf of regulated industry. Dr. Marks' role at
11 this meeting is to represent industry in general
12 and not any particular company. Dr. Marks is
13 employed by GlaxoSmithKline.

14 We would like to remind the members and
15 temporary voting members that if the discussions
16 involve any other products or firms not already on
17 the agenda for which an FDA participant has a
18 personal or imputed financial interest, these
19 participants need to exclude themselves from such
20 involvement, and their exclusion will be noted for
21 the record.

22 FDA encourages all other participants to

1 advise the committee of any financial relationships
2 they may have with the meeting topic. Thank you.

3 DR. BADEN: We will now proceed with
4 Dr. Cox's introductory remarks.

5 **FDA Introductory Remarks**

6 DR. COX: Good morning. I just want to
7 start out and make a few intro comments. I just
8 want to touch on some of the broad topics that
9 we'll talk about today, and you'll hear more detail
10 as we go through the series of presentations.

11 First, thank you all for joining us here
12 today. And for folks that have been following this
13 area, you're probably aware that there are
14 investigational drugs that are being developed that
15 target only a single species or that are active
16 against only a single species of bacteria, and
17 those bacteria occur relatively infrequently, which
18 presents significant development challenges.

19 We've done a series of workshops over the
20 last 10 months, as folks may also be aware. We
21 started back in July of 2016 and started talking
22 about this problem, about single-species active

1 drugs when the species that's the target of the
2 agent is something that occurs fairly infrequently,
3 and also had another workshop in March of this
4 year. And you'll hear as we go through the
5 presentation the summary of some of the key points
6 from those discussions.

7 As folks are probably aware, there are
8 already considerable challenges in developing a new
9 antibacterial drug. And among the range of
10 indications for which drugs are developed have that
11 as a particularly challenging area of development,
12 and the examples that we'll be talking about today
13 target *Pseudomonas aeruginosa* and *Acinetobacter*
14 *baumannii*, so agents that are found in HAPB/VAPB.

15 So you've taken sort of a challenging area
16 of drug development already and then limited the
17 proportion of patients in whom the particular
18 causative agent is present, which makes development
19 in clinical trials really quite challenging.
20 You'll hear more about some of these challenges in
21 Sumathi's presentation, which will follow me.

22 Just thinking in general about what we run

1 into when developing an antibacterial drug,
2 oftentimes we're faced with diagnostic uncertainty.
3 We don't actually know what the etiologic agent is
4 that's causing the particular patient's infection
5 at the time of presentation. It takes a little
6 time to figure that out, then also, too, the issues
7 of pre-study therapy, which may be important in
8 treatment of the patient's condition, and
9 concomitant therapy that may also cloud the
10 assessment of the effect of an investigational
11 drug.

12 So at least as we've thought through this,
13 it seems like the component that somebody would put
14 together when doing such a development program is
15 really -- that's not the biggest challenge. The
16 components are likely to be in vitro data, the best
17 you can possibly do, animal models looking at the
18 activity of the drug, PK/PD information to help
19 select a dose, animal models that are more akin to
20 disease to be able to understand how the drug works
21 in a setting that's more akin to the human disease;
22 clinical trial data to evaluate efficacy and also

1 to look at safety.

2 But I think the real issue here is that this
3 is essentially new ground, studying an agent that
4 is active only against a smaller proportion of the
5 patients that would be in such a trial. And given
6 the issues of pre-study therapy and concomitant
7 therapy, how interpretable will the results be from
8 the clinical trial I think is a question that we
9 all struggle with.

10 One of the things we're hoping to hear from
11 the committee today are ways to increase the
12 likelihood that the clinical trial data will be
13 interpretable or be able to evaluate the drug,
14 given the likelihood that there will be pre-study
15 therapy and also concomitant therapy, given the
16 very narrow spectrum of the investigational agent.

17 I think as we talk about this, rapid
18 diagnostics could certainly help. We have to deal
19 with the situation as it currently exists. And we
20 also have to keep in mind, too, that rapid
21 diagnostics don't create patients, so diseases that
22 are uncommon remain uncommon. You can just

1 diagnose them a little bit sooner. That helps, but
2 the situation still remains challenging.

3 So we have to have a robust discussion and
4 get your advice on what can be done to make the
5 clinical trials more feasible, more likely to be
6 interpretable. And then if such a trial is
7 attempted and, despite everyone's best efforts, if
8 the trial is one that's very difficult to interpret
9 because of pre-study, and concomitant therapy, and
10 small numbers of patients, what the role might be
11 for animal models of disease to evaluate the
12 efficacy of a particular drug.

13 We're also very interested, too, on thoughts
14 that you may have about how such a drug might end
15 up being used in the real world. Given the
16 challenges of conducting a clinical trial, some of
17 those spill over into the challenges of how a drug
18 might be used in the real world.

19 So with that, I'll stop and thank you for
20 your attention. And back to you, Dr. Baden.

21 DR. BADEN: Thank you, Dr. Cox.

22 We'll now proceed with Dr. Nambiar's

1 regulatory background information.

2 **FDA Presentation - Sumathi Nambiar**

3 DR. NAMBIAR: Thank you, Dr. Baden.

4 Good morning, everybody, and I welcome you
5 to today's meeting of the Antimicrobial Drugs
6 Advisory Committee. I thought I would start out
7 with a little bit of background on antibacterial
8 drug development and talk about unmet need programs
9 before we move into discussion around
10 species-specific drug development.

11 In general, antibacterial drug development
12 can be considered in two prongs, standard programs
13 and unmet need development programs. For standard
14 development programs, we generally get
15 non-inferiority trials at specific body sites of
16 infection. With such programs, there's less
17 uncertainty with regard to efficacy and safety.

18 Now, over the last few years, there's been
19 considerable focus on unmet need development
20 programs because we are in a situation where we had
21 very few therapeutic options and increasing
22 antimicrobial resistance.

1 We issued a draft guidance on this topic in
2 2013. And based on the approaches that were
3 outlined in this guidance, clinical trials have
4 been completed successfully, and there are other
5 trials that are ongoing.

6 There is now increasing interest in
7 developing drugs that only treat a single bacterial
8 species, such as *Pseudomonas aeruginosa*,
9 *Acinetobacter baumannii*. And designing
10 scientifically sound and feasible trials for such
11 drugs has been the focus of our more recent efforts
12 and the topic for today's meeting.

13 With unmet need programs, there is greater
14 uncertainty and risk because these programs are
15 smaller than traditional development programs, and
16 such development programs are acceptable and
17 consistent with our regulations in subpart E.

18 It's important to note that clinical trials
19 for unmet need should still meet the statutory
20 standards for effectiveness, as described in the
21 Food, Drug, and Cosmetic Act. Typically, we
22 require a safety database of about 300 patients at

1 the proposed dose and duration. However, if safety
2 concerns arise, we will certainly require
3 additional data.

4 It's very important in these programs that
5 there's a thorough evaluation of in vitro activity
6 and also activity in relevant and animal models of
7 infection, and risks and benefits from such
8 programs would be communicated in labeling.

9 I won't go through each of these trial
10 design options, but these are the trial design
11 considerations that we have looked into for such
12 programs. We're willing to accept a single non-
13 inferiority trial at the body site of infection. A
14 single superiority trial is also acceptable, and
15 this could be considered either at enrolled
16 patients with infections at one body site or we're
17 willing to consider infections across multiple body
18 sites.

19 We're also willing to consider a single
20 nested NI superiority trial. And if one is
21 developing a new beta lactamase inhibitor that is
22 being paired with an approved beta lactam drug,

1 then one can rely in part on previous findings of
2 safety and effectiveness of the beta lactam drug.

3 Lastly, if an adjunctive therapy is being
4 developed, superiority of the adjunctive therapy in
5 combination with standard of care should be
6 demonstrated versus standard of care.

7 So moving on to single-species-specific
8 drugs, as Ed has already mentioned, we acknowledge
9 that there are many challenges in conducting
10 clinical trials for such therapies that target a
11 single species that occur infrequently at any body
12 site of infection.

13 These patients are sick, and there's an
14 urgent need to start effective therapy. Often,
15 there is a need for empiric therapy because there
16 is diagnostic uncertainty at the time these
17 patients present. Often, there is need to use
18 pre-study therapy and concomitant effective
19 therapy, which can further confound assessment of
20 the treatment effect. And unlike many of the other
21 rare diseases, it's very difficult to identify
22 these patients who might develop such infections

1 ahead of time or maintain a registry.

2 We also recognize that there is potential
3 clinical utility for such drugs, and we've been
4 working to find feasible solutions to develop such
5 products.

6 As Ed mentioned, we've had two recent public
7 workshops. Summer of last year, we had a two-day
8 workshop on facilitating antibacterial drug
9 development for patients with unmet need, and on
10 the second day, we discussed developing
11 antibacterial drugs that target a single species.

12 On March 1st of this year, we had a workshop
13 to discuss the current state and further
14 development of animal models of serious infections
15 caused by *Acinetobacter baumannii* and *Pseudomonas*
16 *aeruginosa*.

17 So as I said, on the first day of the
18 workshop, we had a gentle discussion about
19 facilitating antibacterial drugs for patients with
20 unmet need, and the second day was really focused
21 on species-specific drugs.

22 So the highlights of the first day were

1 discussions around potential clinical trial
2 designs. There was a discussion around the
3 significant challenges in conducting a trial, which
4 is designed to demonstrate superiority. Achaogen
5 presented the challenges that they encountered in
6 studying plazomicin for patients with carbapenem-
7 resistant Enterobacteriaceae in their trial.

8 It was also very clear that it is important
9 to understand the pharmacokinetics of the drug in
10 the target population and that the drugs behave
11 very differently between indications and certainly
12 between healthy volunteers and patients.

13 The second day of the workshop really
14 focused on how one might develop a drug that just
15 treats *Pseudomonas aeruginosa*. We presented a
16 hypothetical case of a drug that had activity
17 limited to *Pseudomonas aeruginosa*, potential
18 clinical trial designs were discussed, and
19 importantly, all of the trial designs considered
20 had challenges and limitations.

21 These were some of the trial designs we
22 discussed, and I'll go through them in the next few

1 slides: non-inferiority trials, superiority
2 trials, studies in specific patient populations
3 such as those with cystic fibrosis, and the role of
4 animal models of infection.

5 So the first option considered and I think a
6 fair amount of time at the workshop was spent on
7 discussing non-inferiority trials. It was
8 generally thought that a non-inferiority trial is
9 potentially feasible, even for species-specific
10 drugs, and this NI trial could be done at a single
11 body site, as in HAPB/VAPB, UTI, or intraabdominal,
12 or one could potentially include patients with
13 HAPB/VAPB and/or bacteremia.

14 Such trials might be feasible if greater
15 uncertainty is acceptable, which translates to
16 allowing for wider non-inferiority margins. Such a
17 trial will not need to limit enrollment to patients
18 with *Pseudomonas* of specific resistance phenotypes.
19 It would be enrolling patients -- it would be an
20 all-comer trial.

21 Again, as Ed had mentioned in his
22 introductory comments, availability of rapid

1 diagnostic would certainly help identify patients,
2 but will not change the frequency with which they
3 cause in any one of these infections.

4 We had a lot of discussion around potential
5 for confounding both by prior effective therapies
6 and concomitant therapies.

7 So if one were to undertake a superiority
8 trial, then the efficacy of the test drug would be
9 compared to best-available therapy. Such a trial
10 will enroll patients with *Pseudomonas aeruginosa*
11 resistant to available therapy, so one can
12 demonstrate superiority. But there was, again, a
13 lot of discussion around the difficulty in
14 identifying and enrolling enough patients in such a
15 clinical trial.

16 In this trial, one could enroll patients
17 with *Pseudomonas* identified at one or more body
18 sites of infection, but again, the difficulty in
19 demonstrating superiority over existing therapies
20 was discussed a fair bit. And the point was also
21 made that the opportunity to show superiority is
22 usually time limited and dependent on available

1 therapy becoming suboptimal because once new
2 therapies become available, then the ability to
3 demonstrate superiority becomes more difficult.

4 A third option presented, which really was
5 not discussed in any great detail, was whether a
6 study can be conducted in patients who have a
7 higher likelihood of having infections due to
8 *Pseudomonas aeruginosa*, such as patients with
9 cystic fibrosis.

10 The last option was the potential for
11 approval under the Animal Rule, where efficacy data
12 is obtained from animal models of infection. And
13 this might be an option if an informative efficacy
14 trial is not feasible. If the Animal Rule approval
15 was pursued, animal efficacy data would be
16 supplemented with available clinical data from
17 patients with a variety of infections.

18 So these are the four options that were
19 discussed during the workshop last year.

20 On March 1st of 2017, we had a public
21 workshop to discuss animal models of serious
22 infections caused by *Acinetobacter baumannii* and

1 Pseudomonas aeruginosa. Dr. Yasinskaya will
2 present in further detail some of the key points
3 that were discussed during this workshop.

4 The workshop was well attended, and we had
5 participation from all the key stakeholders,
6 including academia, industry, and other government
7 agencies. There was discussion around two species-
8 specific products that are currently in
9 development. And again, you'll hear more about
10 them in presentations today.

11 The key topics that were discussed at the
12 workshop are how the Animal Rule was used to
13 support the approval of products for the treatment
14 of plague and anthrax. There was discussion about
15 the role of animal models in antibacterial drug
16 development and what some of the key attributes and
17 shortcomings were of the currently used animal
18 models.

19 Given the urgent need to develop species-
20 specific therapies, there was discussion around the
21 role of animal models that could support the very
22 limited clinical data that might be feasible to

1 obtain.

2 So we had mentioned this at the workshop.
3 We do have a broad agency announcement for research
4 proposals focused on advancing the development of
5 animal models of serious infections caused by these
6 two pathogens, and proposals that have been
7 received for the FY17 funding are currently under
8 review.

9 So we've been thinking hard about what these
10 development programs might look like and what is
11 practically achievable with programs that are
12 really targeted single-species-specific drugs.

13 We think some clinical data can be obtained,
14 but it certainly will be limited, and certainly
15 much smaller than what we're used to seeing with
16 the standard development programs, and even smaller
17 than what we've seen for the unmet need programs.

18 There will be evidence of activity and
19 efficacy in relevant animal models of infection.
20 We will have a robust PK/PD data package, and we
21 will have limited human safety information. And,
22 again, non-clinical safety data that might give us

1 some suggestion of what safety signals to be aware
2 of.

3 So the two options for the clinical data
4 package is one that would be in the setting of a
5 non-inferiority trial, and the second would be a
6 superiority trial.

7 A non-inferiority trial, again, I think,
8 though these trials with single-species-specific
9 drugs are difficult to conduct, we think it might
10 be a feasible option. But if one is to allow the
11 use of a wider non-inferiority margin, smaller
12 sample size, there is going to be much greater
13 uncertainty in the treatment effect with these
14 programs.

15 If there is a lot of use of prior and
16 concomitant effective therapies in a reasonable
17 fraction of the patient population, it would be
18 very difficult to discern the treatment effect of
19 the investigational drug.

20 We are willing to consider a single
21 non-inferiority trial with a wider non-inferiority
22 margin than we would typically use for a standard

1 development program. So for example, for
2 HAPB/VAPB, we'd recommend an NI margin of
3 10 percent for a standard development program. And
4 we've allowed for use of a 12.5 percent margin for
5 therapies that address an unmet need.

6 For single-species-specific drug, we're
7 willing to go one step further and willing to
8 consider the use of an NI margin, which is equal to
9 or close to that treatment effect, so really
10 preserving only a small fraction of the treatment
11 effect.

12 The superiority trial could be conducted. I
13 think life would be a lot easier if it provides a
14 clear finding of efficacy. We think it might be
15 feasible to conduct superiority trials for maybe
16 the first couple of drugs that are being developed,
17 as it might be possible to demonstrate superiority
18 over currently available standard of care.

19 However, as the standard of care changes and new
20 therapies become available, the trial may become
21 infeasible or unethical because, at that point, the
22 new standard of care will replace the less-than-

1 adequate comparator treatment that the trial had
2 started out with.

3 So given these challenges, based on our
4 discussions we've had with various sponsors, there
5 is an unwillingness to take on a superiority trial
6 as the first option.

7 Now, in these development programs, given
8 that the clinical data package is going to be very
9 limited, the animal models of infection play a very
10 important role. In these animal models, it's
11 important that the effect be demonstrated in more
12 than one species, which is expected to react with a
13 response predictive for humans.

14 The animal models of infection studied
15 should be relevant to the clinical condition being
16 studied in humans and that the study endpoint
17 that's used in these animal models is similar to
18 the desired benefit in humans, generally the
19 enhancement of survival or prevention of major
20 morbidity. So we're looking for something beyond
21 just a log reduction in a microbial count.

22 We've also been thinking what might be the

1 outcomes of these programs. Again, we're thinking
2 ahead, so we don't have a lot of experience. We
3 have actually no experience with any of these
4 products having gone through a development program.
5 And one can certainly think of many potential
6 scenarios, but we came up with maybe four likely
7 scenarios, and I'm sure you can think of others as
8 well.

9 The first and the best one would be where
10 you have a successful clinical trial and either
11 superiority or non-inferiority was demonstrated,
12 depending on what the trial was designed to do, and
13 there are no major safety signals of concern. So
14 it's very easy, then, to make an assessment of
15 risk-benefit in such a program.

16 The second possibility is that the clinical
17 trial results showed us that there is a lack of
18 beneficial effect with the test drug, and that
19 again is easy to interpret.

20 The third possibility, which is certainly a
21 likely possibility in this with these drugs and one
22 that's really the focus of today's discussion, is

1 that a clinical trial was attempted. It was either
2 not feasible; very small numbers of patients were
3 enrolled; or the trial is just not interpretable
4 because there are so many confounders. In such an
5 instance, there will be a greater reliance on the
6 evidence of efficacy coming from animal models of
7 infection.

8 Another scenario -- and we haven't really
9 touched much on safety yet -- is that although
10 efficacy is demonstrated, there are safety concerns
11 with the product that do not allow us to make a
12 favorable benefit-risk assessment.

13 Now, most of our discussions so far have
14 really focused on the efficacy, but I think it's
15 important to keep in mind that safety is just as an
16 important part or component of this discussion as
17 efficacy is.

18 So as in any other development programs, we
19 will assess the safety of the product in non-
20 clinical studies, and based on the signal, if any,
21 we will ensure that there's appropriate monitoring
22 in clinical trials.

1 The database in these programs at the
2 proposed dose and duration may be very limited. We
3 would at least like to get about 300 patients, and
4 if there is any safety signal, we would require
5 additional data be collected.

6 For such products, there might be a need for
7 additional safety data to be collected either in
8 the form of postmarketing requirements or through
9 enhanced pharmacovigilance. And it's also
10 important that if such a product is approved, that
11 it be used very judiciously and safety be closely
12 monitored because there is very limited safety
13 information available pre-marketing.

14 So before I close, I'll just start showing
15 the 21st Century Cures Act because it's relevant to
16 our discussion today, signed into law on
17 December 13th of 2016. And the two sections that
18 primarily impact anti-infective products are
19 Section 304.2 about limited population pathway,
20 otherwise known as LPAD, and Section 304.4 that
21 deals with susceptibility test interpretive
22 criteria and AST devices.

1 For LPAD, this pathway is for drugs intended
2 to treat a serious or life-threatening infection in
3 a limited population of patients with unmet need.
4 Labeling for such products will include limited
5 population in a prominent manner and a statement
6 that the drug is indicated for use in a limited and
7 specific population of patients. There's also a
8 requirement for pre-submission of promotional
9 materials.

10 The two key topics we would like to discuss
11 at today's meeting are as follows, the development
12 programs for species-specific antibacterial drugs,
13 where the bacterial species is not commonly
14 identified in any one type of infection.

15 Secondly, should such a clinical development
16 program not be feasible or the clinical data not be
17 interpretable, what the role of the animal models
18 of infection would be.

19 We have two questions for the committee to
20 consider. Both of them are discussion questions.
21 We have no voting questions today. The first
22 question is to discuss the unmet medical need for

1 single-species-specific products and the risks and
2 benefits of the development proposals that we
3 present today. We request that you please provide
4 any additional recommendations you might have for
5 developing such products.

6 The second question, I do apologize; the
7 stem is fairly long. But essentially it is, if
8 every effort is made to perform human clinical
9 trials -- these trials are going to be challenging,
10 and the data collected may not be interpretable or
11 be very limited -- and should the circumstance
12 arise, it may be useful to consider whether animal
13 models of serious bacterial infections can provide
14 useful information to assess the activity and
15 efficacy of the drug.

16 In such a situation, please discuss the
17 following: the types of animal models and
18 appropriate endpoints that you think might be
19 useful to assess the efficacy of an investigational
20 agent; and secondly, if there is a situation where
21 efficacy is principally demonstrated in animal
22 models of infection and only limited clinical trial

1 data available in humans, how might such a product
2 be used clinically?

3 So for today, following my presentation, we
4 have a presentation by Dr. Yuliya Yasinskaya, who
5 is a medical team leader in the Division of Anti-
6 Infective Products. She will summarize proceedings
7 of the March 1st animal model workshop.

8 We have two case presentations today. The
9 first is an example of a drug with activity against
10 *Acinetobacter baumannii* only. Dr. Robin Isaacs
11 from Entasis Therapeutics will be presenting that
12 example. And the second is an example of a drug
13 with activity against *Pseudomonas aeruginosa* only.
14 Dr. Kim, a medical officer in the Division of Anti-
15 Infective Products, will be presenting this case.

16 This will be followed by a presentation by
17 Dr. Perl from the IDSA. We have time for
18 clarifying questions. And after lunch, we will
19 hear from speakers at the open public hearing, and
20 this will be followed by a discussion of the
21 committee. So thank you all for coming and I look
22 forward to the discussions today.

1 DR. BADEN: Thank you, Dr. Nambiar.

2 We'll now proceed with a summary of the
3 March 1, 2017 current state and further development
4 of animal models of serious infection caused by
5 Acinetobacter baumannii and Pseudomonas aeruginosa
6 public workshop from Dr. Yasinskaya.

7 Thank you, Dr. Yasinskaya.

8 **FDA Presentation - Yuliya Yasinskaya**

9 DR. YASINSKAYA: Good morning. My name is
10 Yuliya Yasinskaya. I am a medical team leader for
11 the Division of Anti-Infective Products, CDER, FDA,
12 and I will present to you the summary of the
13 March 1st FDA public workshop.

14 This workshop had taken the discussion that
15 had occurred on the second day of the July 2016
16 workshop further and specifically focused on the
17 status of animal model development and the role of
18 animal models in the development of antibacterial
19 products that target single species, Pseudomonas
20 aeruginosa and Acinetobacter baumannii.

21 The morning session of the workshop
22 delineated clinical and scientific challenges in

1 developing antibacterial drugs for such
2 indications, provided clinical perspective and
3 challenges in clinical trial design, as well as
4 highlighted the lessons learned from the animal
5 models for biothreat agents.

6 It was followed by the pathogenesis and
7 pathogenic determinants for Pseudomonas and
8 Acinetobacter infections, and in the afternoon,
9 pharmacokinetic and pharmacodynamic considerations
10 had been discussed followed by the animal model for
11 Acinetobacter and Pseudomonas, their current
12 status, and future directions. Both morning and
13 afternoon sessions concluded with panel
14 discussions.

15 The stage for the workshop had been set by
16 the presentation of clinical scenarios of unmet
17 medical need for the development of products for
18 Acinetobacter and Pseudomonas followed by the
19 discussion of the challenges in clinical trial
20 design that had been already mentioned today by
21 Dr. Cox and Dr. Nambiar, focusing on the narrow
22 spectrum of activity of such products and the need

1 for concomitant therapies.

2 These type of infections are relatively
3 infrequent and do span different organs. Thus, the
4 typical clinical trial paradigm of focusing on a
5 single organ of infection will not be readily
6 applicable in these circumstances.

7 The infections are immediately life
8 threatening and generally happen to have a
9 pre-study antibacterial use. The use of
10 antibacterials here is empiric due to delay in
11 microbiologic confirmation.

12 Superiority trial designs are problematic
13 for this type of infection, as it requires
14 randomization to likely ineffective therapy and
15 becomes totally infeasible once the new treatment
16 has come to market. It was highlighted that PK/PD
17 targets should be established prior to embarking on
18 the clinical trial.

19 Animal models in antibacterial drug
20 development had been used consistently, and
21 generally those had been models of activity where
22 the candidate products are screened generally in

1 small animal models to see the reduction in
2 bacterial burden. This small animal model could
3 also be used to establish and characterize PK/PD
4 targets.

5 For the programs where the limited clinical
6 trial data is available, a combination of animal
7 models that are susceptible to clinically relevant
8 bacterial strains with use of positive and negative
9 bacterial controls could supplement the limited
10 clinical trial data.

11 In the scenarios where the clinical trials
12 are not feasible and animal models with sufficient
13 closeness to the human disease exist, such models
14 could be used for efficacy trials to support
15 approval under the Animal Rule.

16 During workshops, there were two examples of
17 clinical trial development programs targeting
18 single pathogens that had been presented. Entasis
19 Therapeutics had presented their program for
20 non-beta lactam beta-lactamase inhibitor in
21 combination with sulbactam to target *Acinetobacter*
22 *baumannii*, and they will have an opportunity to

1 present their development program here today.

2 Polyphor had presented their program for
3 murepavadin that targets outer membrane of
4 *Pseudomonas aeruginosa*, including multi-drug-
5 resistant strains. Their workshop presentation
6 will be summarized today by Dr. Peter Kim, my
7 colleague from the Division of Anti-Infectives.

8 The investigators for the infections of
9 biothreat indications had shared their experience
10 with developing models for pneumonic plague,
11 pneumonic tularemia, and inhalational anthrax. Two
12 of these models, African Green monkey and New
13 Zealand White rabbit, had been used for the
14 approval of products under the Animal Rule.

15 This is a model that represents a best-case
16 scenario because the strains that cause human
17 disease are fairly limited as compared to the
18 infections we're discussing today at the advisory
19 committee. The pathogenesis of the disease in
20 humans and animals had been well characterized.
21 The pathology in animals and humans is critical in
22 establishing the animal model, and the clinical

1 course of this disease had been described via
2 observation and telemetry in the animals.

3 The investigators delineated appropriate
4 challenge doses with the clinically relevant
5 isolates and the specific and non-specific
6 indicators of the established disease had been
7 established and characterized in order to identify
8 clinically acceptable trigger and timing for
9 intervention.

10 All the speakers have highlighted that
11 development of these models have been lengthy, and
12 it was an iterative process that allowed them to
13 arrive at a final therapeutic model. The quality
14 management systems and operating procedures have to
15 be established from the outset, and close
16 interaction with the FDA were critical to the
17 success of these development programs.

18 The pathogens that we're going to be
19 discussing today are very different from those of
20 the biothreat agents. *Pseudomonas aeruginosa*, for
21 example, is an opportunistic pathogen. It's highly
22 adaptable and exhibits distinct virulent factors

1 depending on the site and the source of the
2 infection, allowing for both acute and chronic
3 infections to set in.

4 For *Acinetobacter baumannii*, although it is
5 not opportunistic in men, it is in animals, and
6 therefore requiring immunosuppression models in a
7 majority of the models and therefore raising some
8 question of how applicable these models might be
9 for human condition. In vitro assays in general do
10 not predict performance in vivo, however, there are
11 some room for non-mammalian hosts used to
12 characterize the novel virulence factors and
13 resistance mechanisms.

14 The understanding of PK/PD for various
15 models of infections is crucial for any product,
16 antibacterial product development, and murine
17 models of infection that assess bacterial burden
18 have been used successfully in the past and will be
19 likely in the future.

20 The PK/PD parameters have been established
21 for sepsis, skin, and lung infections, and this
22 model allows for testing of different clinical

1 isolates with variable MICs. Models showed good
2 correlation to clinical outcome once PK/PD targets
3 are established. However, there are no models
4 without limitation, and for a lung infection model
5 in the mice, this specific limitation here
6 highlighted, there are some differences in lung
7 anatomy and physiology in the pattern recognition
8 receptors between mice and humans.

9 There are also antibacterial secretions
10 present but lack neutrophils and defensins. There
11 are also some differences in the drug penetration
12 into alveolar macrophages and pulmonary epithelial
13 lining fluid.

14 The rest of the workshop was dedicated to
15 the presentations on the specific animal models of
16 Pseudomonas and Acinetobacter infections. I will
17 give you some examples that had been brought up
18 during workshop.

19 On this slide, you can see the description
20 of neutropenic murine model of Pseudomonas
21 aeruginosa pneumonia. In this model, clinical
22 relevant strains and inocula could be used and the

1 strains with variable susceptibility profiles have
2 been proven successful.

3 The clinical signs could be monitored, and
4 it has been shown that hypothermia, bradycardia,
5 hypoxemia, and disorientation were predictive of
6 imminent mortality. This model is a survival
7 model. In addition to survival, target and
8 bacterial burden and dissemination rate could be
9 assessed. This model is also suitable for testing
10 drugs and biologics alone and in combination.

11 As an antibacterial, baumannii infections
12 had been presented using murine and porcine models
13 of infections. These models also require
14 immunosuppression, so both models are neutropenic.

15 In a pulmonary murine model, it allows for
16 the testing of multiple strains and clinical
17 relevant isolates. Specifically it highlighted the
18 AB5075 strain of infection that is clinically
19 relevant and disseminates in this model. This
20 model is also a survival model, but organ bacterial
21 burden had also been assessed. It had been shown
22 to have reduction in bacterial burden and

1 improvement of survival with use of rifampin as a
2 positive control.

3 Wound infections in pig and mice had been
4 presented as well. A punch biopsy was used to
5 standardize the wound. Use of positive controls
6 had been performed, and outcomes assessed were
7 wound area size as well as timing of closure, in
8 addition to tissue bacterial burden per gram of
9 tissue. Gross and histopathology in this model
10 seems to replicate the human condition, and this
11 model also allows for biofilm, cytokine, and
12 chemokine evaluation.

13 The last two models that had been presented
14 were models of *Pseudomonas aeruginosa* pneumonia
15 that attempt to replicate ventilated-associated
16 pneumonia in humans. This rabbit model closely
17 resembles human disease in the inoculum
18 pathogenesis and symptomatology. It allows for
19 continuous ventilation and monitoring of vital
20 signs, laboratory parameters, including blood gas,
21 blood culture, and EKG. This model is also a
22 survival model, and mortality in this model had

1 been deemed due to shock or multi-organ failure.

2 Ventilated pig model of *Pseudomonas*
3 pneumonia even closer approximates human
4 condition due to similarity in anatomy and
5 physiology, as well as ventilated-associated
6 pneumonia disease pathogenesis could be replicated,
7 allowing for oral secretions aspiration, and
8 gravity dissemination that had been confirmed by
9 x-ray and lung pathology where right upper lobe had
10 been spared.

11 This model is characterized by lack of
12 significant hemodynamic instability, which is
13 similar to that of humans, and intensive care-like
14 settings are employed in this animal model. The
15 animals are sedated, paralyzed, and ventilated.

16 As I had mentioned before, both morning and
17 afternoon session had concluded with a panel
18 discussion, and the key discussion points are
19 presented here. It had been brought up that models
20 similar to African Green monkey for plague will be
21 difficult to develop for *Pseudomonas* and
22 *Acinetobacter baumannii* infections due to variable

1 degrees of intrinsic virulence and differences in
2 susceptibility of animal hosts.

3 It would be helpful to have consistent
4 results across various animal models with the
5 clinically relevant strains and mammal and
6 non-mammal models could be used, immune suppressed,
7 immune-competent model, and small, and large.

8 Sensitivity of the animal models could be
9 assessed using positive and negative antibacterial
10 controls from what we know in clinic, and
11 monitoring of disease biomarkers and assessing
12 histopathology in these models are critical. It's
13 important also to test diverse, clinically relevant
14 isolates with a well-described pedigree in the
15 animal models.

16 The workshop had concluded to state that
17 there is really, at the moment, no single animal
18 model that might be best suited to study infections
19 caused by *Pseudomonas aeruginosa* and *Acinetobacter*
20 *baumannii*. However, there is utility to each of
21 the models presented. And now with some short-term
22 refinements and continued developmental work,

1 animal models can provide useful information to
2 support the development of therapeutic agents for
3 this critical unmet medical need conditions. Thank
4 you.

5 DR. BADEN: Thank you.

6 We will now proceed with a presentation from
7 Dr. Isaacs on the challenges with clinical design
8 for a drug targeting a single species of bacteria,
9 Acinetobacter. Thank you for sharing your thoughts
10 with us.

11 **Industry Presentation - Robin Isaacs**

12 DR. ISAACS: Thank you. I'm grateful to be
13 able to be here today to present on Entasis
14 Therapeutics. My name is Robin Isaacs. I'm the
15 chief medical officer of Entasis Therapeutics.

16 Entasis Therapeutics is a biotechnology
17 company based in Waltham, Massachusetts. Our focus
18 is on the development of antimicrobials to treat
19 multi-drug-resistant gram-negative infections. The
20 views expressed today are those of Entasis. The
21 issues that are under discussion today are not
22 theoretical to us, And we look forward to the

1 committee's deliberations.

2 As an ID physician, I'm fully aware that
3 there's a very strong desire for people to get
4 broad-spectrum antimicrobial therapy, but this
5 isn't always possible, and this is particularly
6 true of *Acinetobacter baumannii* and *Pseudomonas*
7 *aeruginosa* infections.

8 Drug development is a complex and difficult
9 challenge, and this is particularly true for
10 antimicrobial agents. Pathogen-specific agents
11 represent one potential path for the treatment of
12 the difficult pathogens under discussion, and so
13 too *Acinetobacter baumannii*.

14 *Acinetobacter baumannii* is a significant
15 unmet medical need in the United States and in the
16 world. It is one of the so-called six escape
17 pathogens. The pathogens are listed at the bottom
18 of the slide. In the U.S., there are somewhere
19 between 60,000 to 100,000 infections a year, and in
20 the big five EU, there is approximately 130,000
21 infections per year. Common infection sites
22 include the bloodstream, lung, urinary tract, and

1 skin.

2 Acinetobacter baumannii causes infections
3 among critically ill patients. The mortality rate
4 can approach 40 percent or more with current
5 therapies. Of potentially even greater
6 significance, 60 percent or more of Acinetobacter
7 baumannii isolates on a worldwide basis, including
8 the United States, are multi-drug resistant.

9 In the data you can see in this table, this
10 represents carbapenem-resistant Acinetobacter
11 baumannii on a global basis, with the overall
12 weighted average of 64 percent resistant to
13 carbapenem. This is truly a major unmet medical
14 need and a significant problem.

15 Entasis Therapeutics is developing a beta
16 lactamase, beta lactam combination therapy, a beta
17 lactamase inhibitor, beta lactam combination
18 therapy, ETX2514SUL, to treat Acinetobacter
19 baumannii infections. This is a pathogen-specific
20 drug.

21 Interestingly, sulbactam, which many of you
22 know in unison as a beta lactam inhibitor, a beta

1 lactamase inhibitor, is actually a beta lactam
2 antimicrobial that has high intrinsic activity
3 against *Acinetobacter baumannii*.

4 Unfortunately, over the last 10 years or so,
5 this activity has diminished due to primarily the
6 development of beta lactamase-mediated
7 antimicrobial resistance to the point that, in our
8 studies, only about 30 percent of contemporary
9 *Acinetobacter* isolates are susceptible to
10 sulbactam.

11 ETX2514 is a novel, non-beta lactam, beta
12 lactamase inhibitor, which has extraordinary broad
13 activity, with broad potent activity inhibiting
14 against classes A, C, and class D beta lactamases.

15 ETX2514 restores the in vitro and in vivo
16 activity of sulbactam in animal models against
17 contemporary multi-drug-resistant *Acinetobacter*
18 isolates. So for example, in our large panels,
19 which have in excess of 1,000 isolates now,
20 sulbactam has an MIC90 of 64 milligrams per liter.
21 With the addition of ETX2514, the MIC90 drops to
22 4 milligrams per liter. And in a large collection

1 of 2014 isolates, greater than 99 percent of them
2 had MICs less than or equal to 4 milligrams per
3 liter.

4 The development, however, as has been noted
5 by previous speakers this morning, of drugs of a
6 pathogen-specific drug against *Acinetobacter*
7 *baumannii* is challenging. These are some of the
8 challenges that we face as we move this program
9 forward into patients.

10 Firstly, identification of patients with
11 *Acinetobacter baumannii* infections. These
12 represent only approximately 2 percent of
13 hospitalized gram-negative infections, although
14 this is somewhat variable depending on the site in
15 the hospital. For example, in NHANES data, it's
16 somewhere between 5 and 8 percent in the intensive
17 care unit in patients with ventilator-acquired
18 pneumonia. In other areas of the hospital, it's
19 less than 5 percent, in the range of 1.5 to
20 2 percent.

21 The patients who get *Acinetobacter baumannii*
22 infections are sick. They are usually

1 hospitalized. They're generally compromised in
2 their health, not immunocompromised as such, but
3 generally compromised. They're often found in
4 intensive care units. They're generally receiving
5 broad-spectrum coverage, and many of the patients
6 have renal impairment because of significant
7 illnesses that require treatment. About 40 to 50
8 percent of the patients have pulmonary infections.

9 So how do we translate this into a
10 development program? I'm going to take this over
11 the next two or three slides in sort of answering
12 slightly different questions about how we moved
13 this forward, and then finish up with a conclusion.

14 The question I think we have to ask for a
15 new therapy targeting unmet medical need is what is
16 the unmet medical need. And in the case of
17 *Acinetobacter baumannii* infections and *Pseudomonas*,
18 the two pathogens you're considering today, it's
19 the multi-drug-resistant pathogens.

20 Although *Acinetobacter baumannii* infections
21 are relatively uncommon, multi-drug resistance, as
22 I noted earlier, is very common. If we can

1 identify *Acinetobacter baumannii* by routine
2 microbiology within 48 hours of diagnosis of a
3 potential infection, we can enrich for multi-drug-
4 resistant infections by just enrolling patients
5 with known *Acinetobacter baumannii* who have less
6 than or equal to 48 hours of prior therapy.

7 Prior knowledge of *Acinetobacter baumannii*
8 would therefore be critical for enrollment, but
9 prior knowledge of the susceptibility is not
10 because, to repeat again, approximately 60 percent
11 will be multi-drug resistant.

12 To echo a comment that Dr. Cox made at the
13 beginning, a rapid diagnostic test would be useful
14 to enrich enrollment, but, as he pointed out, it
15 doesn't alter the underlying incidence of disease.
16 All it does is it helps you identify patients
17 earlier, before they get other therapies.

18 So what it does is it minimizes prior
19 antimicrobial therapy, which is useful, very
20 useful, in helping in the efficacy assessment in
21 the studies.

22 Where do you find patients with

1 Acinetobacter baumannii? You find them generally
2 in intensive care units or certainly in hospitals,
3 and hospital-acquired and ventilator-acquired
4 bacterial pneumonia has the highest incidence in
5 the order of 5 to 10 percent in the U.S.

6 There are also geographies in the world
7 where Acinetobacter is much more common. And this
8 figure, which is taken from a paper by Chung,
9 et al., I just highlighted and read a couple of
10 countries where there are extraordinary high rates
11 of Acinetobacter relative to other countries in
12 terms of the hospital-acquired and ventilator-
13 acquired pneumonia rates.

14 So for example, if you look in Thailand,
15 about almost 30 percent of hospital-acquired
16 pneumonia and nearly 50 percent of ventilator-
17 acquired pneumonia are associated with
18 Acinetobacter infections.

19 There is no easy way to do simple studies in
20 relatively healthy patients with Acinetobacter
21 because the patients who are infected with
22 Acinetobacter tend to be generally unwell. So

1 before one can go into those populations, one needs
2 to demonstrate, A, that the drug gets to the site
3 infection.

4 Given that 40 to 50 percent of patients have
5 pneumonia, you need to demonstrate pulmonary
6 trinitration. And given that they're generally
7 unwell and may have renal insufficiency for renal-
8 excreted drugs or for hepatically-excreted drugs,
9 you need to test and to identify dose adjustment as
10 required.

11 In the case of sulbactam and ETX2514, both
12 of the drugs are renally excreted, so studies to
13 understand renal dose adjustment are necessary
14 before one can study in the population of interest.

15 There is a need for some standard
16 pre-clinical efficacy data prior to the clinical
17 studies so that one can establish the
18 pharmacodynamic targets, the PK targets likely to
19 be predictive of efficacy, and then using those
20 pre-clinical targets to establish clinical dose
21 using robust modeling of phase 1 PK and the
22 pre-clinical PD targets.

1 While establishing phase 3 readiness, once
2 you get a limited amount of safety data in
3 relatively healthy patients, this provides a
4 baseline to review safety data in much sicker
5 populations.

6 So how do we establish efficacy? This is
7 our thoughts on establishing efficacy for
8 *Acinetobacter baumannii*, an event-driven study
9 based on multiple drug-resistant pathogens. So
10 what does that mean? It means you enroll people
11 with *Acinetobacter* infections, but the primary
12 analysis would be on those patients who have multi-
13 drug-resistant pathogens.

14 Second, enroll patients with proven
15 infection. Third, focus on the most common
16 infections, so lung and/or bloodstream. Allow
17 other patients with other infections into a
18 parallel, non-comparative arm in the study to
19 collect supportive data.

20 Do a non-inferiority study with a test for
21 superiority if non-inferiority is met. That means
22 identifying standard of care. Currently, standard

1 of care is colistin plus or minus a carbapenem. In
2 some countries, tigecycline is used depending on
3 resistance pins.

4 Utilize a hard endpoint, for example, 28-day
5 mortality. So we know that, for colistin, based on
6 a detailed review of the literature, the mortality
7 associated with colistin therapy is around
8 40 percent. There are literature out there of
9 essentially no treatment where it's about
10 80 percent mortality. So one could propose a non-
11 inferiority margin of approximately 20 percent.

12 Under those criteria, one could set a
13 phase 3 study, non-inferiority comparing ETX2514
14 sulbactam against standard comparator. We would
15 need around 200 patients to provide 118 patients
16 with multi-drug-resistant infections, and such a
17 study would have 80 percent power with a two-sided
18 95 percent confidence interval if one assumed a
19 40 percent mortality in the comparator group and a
20 slightly lower mortality of 35 percent in the
21 experimental group; still a challenge, but a
22 relatively smaller number of patients than people

1 tend to talk about in some of these studies.

2 So once you've collected that data, what
3 might a package for a new drug application look
4 like?

5 A strong microbiology package; strong
6 evidence of in vivo efficacy in relevant animal
7 models;

8 Robust demonstration of PK/PD parameters
9 based on in vitro hollow fiber and in vivo animal
10 models;

11 Establishing a dose for phase 2 and phase 3
12 based on high probability of target attainment
13 using robust modeling of pre-clinical and clinical
14 data;

15 A safety dataset of approximately 300 to 400
16 patients and/or subjects, this is consistent, as
17 was noted earlier, with FDA guidance documents; and
18 demonstration of efficacy compared to standard of
19 care in a single phase 3 non-inferiority study with
20 comprehensive justification of the non-inferiority
21 margin from published literature.

22 This isn't easy, but it is potentially

1 achievable. I thank you for your time.

2 DR. BADEN: Dr. Isaacs, thank you.

3 I am sure members of the committee have many
4 questions from the presentations we've heard thus
5 far. We will have discussion and be able to ask
6 our speakers questions at the question period
7 around 11:00. So please save up your questions,
8 and I appreciate the speakers being willing to
9 clarify issues that the committee members may have.

10 Dr. Kim will now present on a pathway for
11 Pseudomonas compound. Thank you.

12 **FDA Presentation - Peter Kim**

13 DR. KIM: Thank you, Dr. Baden.

14 My name is Peter Kim. I'm a medical officer
15 in the Division of Anti-Infective Products, FDA,
16 and I'll be presenting an example of a development
17 program targeting Pseudomonas aeruginosa.

18 POL7080 is an antibacterial drug with
19 activity limited to Pseudomonas aeruginosa. It has
20 no activity against gram positives or other gram
21 negatives, including Enterobacteriaceae. It
22 targets an outer membrane protein of Pseudomonas

1 aeruginosa.

2 While the sponsor has elected not to present
3 at today's meeting, FDA will present a summary of
4 the development program based on information
5 discussed at the FDA public workshop held on
6 March 1, 2017, and there is the link to the meeting
7 materials. We should note that FDA's presentation
8 of this information should not be considered an
9 endorsement of the development program for POL7080.

10 Now for some background information. The
11 sponsor noted in a neutropenic mouse lung infection
12 model that increasing total daily doses of POL7080
13 resulted in greater log reductions of Pseudomonas
14 aeruginosa, including isolates resistant to
15 polymyxin B. The sponsor used PK/PD modeling in an
16 effort to determine the PD target and inform
17 selection of a dose for clinical testing.

18 Regarding clinical studies, the sponsor has
19 completed six phase 1 studies and two phase 2
20 studies. Phase 1 has included but is not limited
21 to drug-drug interaction studies with colistin and
22 amikacin as well as an assessment of PK and safety

1 in participants with renal impairment.

2 Phase 2 included two relatively small
3 studies, one in patients with non-cystic fibrosis
4 bronchiectasis and the other in patients with
5 ventilator-associated bacterial pneumonia, and in
6 that study, 12 patients had confirmed *Pseudomonas*
7 *aeruginosa*.

8 At the March 1st workshop, the sponsor
9 presented a proposal for a multi-center,
10 randomized, parallel group non-inferiority trial to
11 evaluate the efficacy, safety, and PK of POL7080 in
12 patients with HAPB/VAPB due to suspected
13 *Pseudomonas aeruginosa*.

14 Patients would be randomized 1 to 1 to the
15 following treatment groups for single coverage
16 against *Pseudomonas aeruginosa*. In the study arm,
17 patients will be treated with POL7080 plus
18 ertapenem, and in the control arm, patients will be
19 treated with meropenem.

20 The sponsor noted that ertapenem at a dose
21 of 1 gram IV daily was modeled and appears to
22 achieve acceptable levels of exposure in VAPB

1 patients. We should note that, in the U.S.,
2 ertapenem is approved for community-acquired
3 pneumonia and not HAPB/VAPB. Ertapenem does not
4 have activity against *Pseudomonas aeruginosa*.

5 The sponsor also proposed that in the
6 protocol, there would be allowance for concomitant
7 use of amikacin for empiric dual anti-pseudomonal
8 coverage in both arms at the discretion of
9 investigators until culture and susceptibility
10 results were made available for a maximum total
11 duration of 72 hours. The investigators would
12 decide whether to administer dual coverage prior to
13 randomization.

14 The proposed primary endpoint would be
15 28-day all-cause mortality in the microbiologic
16 intent-to-treat population, that is, those with
17 confirmed *Pseudomonas aeruginosa*. A rapid
18 diagnostic test would be used to aid in identifying
19 these patients. Based on feedback from key opinion
20 leaders, the sponsor considers the proposed trial
21 design feasible at centers with less than 10
22 percent multi-drug-resistant *Pseudomonas*

1 aeruginosa.

2 At the March 1st workshop, the sponsor noted
3 the following challenges with conducting a phase 3
4 HAPB/VAPB trial. At an incidence of 22 percent of
5 Pseudomonas aeruginosa, the sponsor estimated that
6 over 3,000 patients would need to be randomized if
7 a 10 percent NI margin was specified.

8 They also noted that superiority would be
9 difficult to demonstrate; there may be challenges
10 in enrolling patients in a study treating
11 Pseudomonas with monotherapy that may be difficult
12 to discern the treatment effect of POL7080 in the
13 context of concomitant antibacterial drugs that may
14 also cover Pseudomonas; and there could be
15 challenges with obtaining consent quickly in
16 HAPB/VAPB patients. Thank you.

17 DR. BADEN: Thank you, Dr. Kim.

18 We are running a little bit ahead of
19 schedule, and I want to acknowledge our Web
20 audience, where we have more than 100 viewers, I've
21 been informed. We will take a break now for
22 18 minutes and resume at 10:00, and this can allow

1 our web audience to calibrate as well. And we'll
2 resume with Dr. Perl's comments.

3 Thank you to the speakers, and see you all
4 in 18 minutes.

5 (Whereupon, at 9:42 a.m., a recess was
6 taken.)

7 DR. BADEN: Thank you all for taking your
8 seats. We shall resume the meeting. We'll now
9 proceed with a presentation from Dr. Perl, sharing
10 with us some thoughts from the Infectious Disease
11 Society of America.

12 Thank you, Dr. Perl, for joining us.

13 **Presentation - Trish Perl-DeLisle**

14 DR. PERL: Thank you very much. I am
15 honored to be here talking to you.

16 My name is Trish Perl, and I am the chief of
17 infectious diseases at UT Southwestern. I see
18 patients at Parkland Hospital, which is one of the
19 largest safety-net hospitals in the country, also
20 at a university hospital that does tertiary and
21 quaternary care, and at the second-largest VA in
22 the country.

1 So I'm in the trenches, and I'm here not
2 only to present on behalf of the Infectious Disease
3 Society of America, but also to represent the
4 11,000 infectious disease physicians and providers
5 out there who are dealing with these problems day
6 in and day out.

7 I will say that some of the slides that are
8 here today have already been dealt with by some of
9 the previous speakers, so I may go over them
10 quickly. And I also want to say that I'm a member
11 of the board of the Infectious Disease Society of
12 America, so I am in the position also to help make
13 sure that this discussion is front and forward with
14 our leadership.

15 So Infectious Disease Society, as I said,
16 has 11,000 physicians and providers that provide
17 primary care. As you can see, most of them do
18 patient care, but many of them are involved in
19 clinical microbiology, healthcare epidemiology, and
20 are dealing with the issues of resistance day in
21 and day out. We see the ravages.

22 Right now, even though I have gray hair and

1 look old, this is not a time that many of us are
2 used to in that we're returning to a pre-antibiotic
3 area. And the emergence of the mcr-1 and 2, which
4 are highly resistant organisms and resistant to
5 most antibiotics that are known to our generation,
6 are transmissible on plasmids, and hence can be
7 shared by multiple bacteria, and are causing
8 infections that are difficult for us to treat. And
9 yes, I think we should be scared and concerned
10 about the ramifications of the increasing
11 resistance that we're seeing.

12 We currently use antimicrobial agents where
13 we have extremely limited and negative data, and
14 some of these agents are actually very toxic. We
15 use inhaled and parenteral colistin, which is a
16 drug that was pulled off the shelf having had been
17 developed before I was born, I think. And we're
18 using things like phosphomycin for ESBL infections,
19 where there's extremely limited data. And as
20 someone mentioned earlier, tigecycline is being
21 used despite warnings and known toxicity.

22 So while we are very interested in

1 prevention of this, and being good stewards of
2 antibiotics, and monitoring this, we also recognize
3 that this needs some additional and new tools.

4 This is from the CDC and represents the
5 current U.S. antimicrobial threats. And if you
6 look now, what you can see is the list of threats
7 that the WHO also recognizes as urgent or emergent
8 problems. And the list unfortunately is growing,
9 and some of these have moved from being serious
10 threats to urgent threats.

11 So I'd like to take a minute to present a
12 case. I will say this is 1 patient and a very
13 unfortunate story of 1 patient. But like several
14 of you in the room, I can tell you also that there
15 are many, many stories like this, and there are
16 unfortunately even outbreaks of organisms similar
17 to the one I'm talking about.

18 This is a 71-year-old lady with laryngeal
19 cancer who had a laryngectomy, chemotherapy,
20 radiation, and she was cured. She was at home on
21 oxygen, had been recently admitted for
22 tracheobronchitis, and was transferred from her

1 rehabilitation hospital back to a hospital in
2 Boston -- actually, this is a case from Helen
3 Boucher -- with fever, flank pain, and respiratory
4 failure.

5 Her history was also significant for cough
6 and sputum production. She had no fever, chills,
7 or other constitutional symptoms. She was
8 evaluated for viruses although studies were non-
9 contributory.

10 Her blood sputum cultures grew a gram-
11 negative rod that was ultimately identified as a
12 multi-drug-resistant *Klebsiella pneumoniae* that
13 produced a carbapenemase. She did well. Her blood
14 cultures were cleared. She did not need to be
15 intubated. And she was actually treated with a
16 cocktail that included tigecycline, colistin, and
17 inhaled colistin, and then she was switched from
18 that to IV minocycline.

19 She was then admitted again in January and
20 May, and she presented with respiratory failure,
21 tracheobronchitis, along with a urinary tract
22 infection. She was discharged on levofloxacin, but

1 again the sputum and urine cultures grew a
2 carbapenemase-producing *Klebsiella pneumoniae*.

3 Four days later, she had increasing oxygen
4 requirements, and per the emergency room felt very
5 tired, had urgency and other urinary symptoms,
6 flank pain, was febrile, and her culture again grew
7 the *Klebsiella* that was carbapenemase producing.

8 Here's the antibiogram, just to give you a
9 sense of the resistance, and you can see it's
10 resistant to penicillins, cephalosporins,
11 meropenem, and other carbapenems, many of the
12 aminoglycosides, fluoroquinolones, trimethoprim
13 sulfa, and some of the newer agents.

14 After discussion about the limited options,
15 the predictable renal failure, and neurologic, and
16 other toxicities, the patient and her family
17 decided on hospice care. So here is an unfortunate
18 lady who was dying of a multi-drug-resistant
19 infection and had been cured of her cancer.

20 So what did we learn from this case? We
21 learned that infections caused by resistant
22 pathogens are serious, they can happen to us, to

1 our families. We also learned that our
2 pharmaceutical armamentarium is limited and
3 commonly associated with very toxic agents.

4 It also gives us a hint that maybe we need
5 to rethink things and perhaps drugs that target
6 single pathogens have a place that we can even
7 think about personalizing our approach to
8 infectious diseases.

9 The data we have often is less than what we
10 would want. And data on patients with infections
11 at standard body sites are a foundation from which
12 we built, but clinically, we extrapolate all the
13 time to treat infections. And while we would like
14 to go by textbooks, we are in an era where there
15 are no textbooks.

16 So what we are now doing is working from a
17 variety of sources and our own observations. So
18 our aim today from an infectious disease society
19 perspective is to really make a case for
20 approaching a problem with a creative solution and
21 thinking about the registration of narrow-spectrum
22 agents.

1 One of the things we really want to
2 recognize is this group has made tremendous
3 progress. There have been multiple workshops, as
4 you have heard, and we have come a long way over
5 the past couple of years, really, in having this
6 discussion.

7 There are still gaps, so as we're talking
8 about this, I think we should recognize this is a
9 huge step forward. We do think there's a role for
10 narrow-spectrum single-pathogen drugs. And as
11 you've heard from the previous speakers, there have
12 been workshops that have really set the background,
13 if you will, for making this argument.

14 We think that we have to rethink how we do
15 this and consider pooling not only information we
16 get from multiple patients, but from multiple
17 sites.

18 There are other important issues, and we
19 don't want to lose sight of those. They may be
20 separate or parallel discussions that need to be
21 considered, but there are bloodstream infections or
22 endocarditis, osteo, that also have some similar

1 challenges. There needs to be improved, and
2 developed, and more futuristic approaches to
3 susceptibility testing. And these pathogen-
4 specific indications may require different avenues
5 for us to think about as we move forward in this
6 discussion.

7 So we have limited drugs. Many are toxic,
8 and we have limited data for the desperation
9 combinations that we are using. So let's talk a
10 little bit about these narrow-spectrum agents and
11 some of the thoughts that we have.

12 One of the things I neglected to say in my
13 opening is that a paper that was written by Helen
14 Boucher in a group at the IDSA has been accepted at
15 JID, and a lot of the slides and the concept from
16 the IDSA will be forthcoming in that.

17 This is a schema that is in that particular
18 white paper, And what this shows along the Y-axis
19 is the quantity of clinical efficacy data that we
20 normally expect or can generate with clinical
21 trials. And then along the X-axis are a cartoon,
22 if you will, of potential scenarios.

1 So the column A represents the traditional
2 FDA trajectory, which is three studies. Column B
3 is the three studies plus some smaller studies.
4 And then what we're now moving into is a new arena,
5 where we may want to start thinking about smaller
6 studies or the FDA rule.

7 This is the area where we can start
8 discussing these pathogen-focused approaches for
9 unmet needs. What this will require us to do as a
10 scientific community is really to think about the
11 acceptance of smaller clinical datasets that may be
12 imperfect to meet this need.

13 Then along the top is the arrow that
14 indicates that we're going to have to, if we're
15 going to take this approach, really think about
16 increasing our reliance on human PK data to enhance
17 the information that we have as we're moving into
18 the arena of caring for patients.

19 We do want to make sure that there is one
20 disambiguation, if you will, if I said that
21 correctly. And if you think about the phase 3, you
22 can see that C area, those smaller studies. That

1 and the pathogen-focused pathways can be confused,
2 and they shouldn't be.

3 Really, when we talk about pathogen-focused
4 pathways, we're only talking about a narrow
5 spectrum for a single agent. Tier C studies could
6 involve broad-spectrum agents for very rare
7 infections or any spectrum developed for a specific
8 focus. But when we talk about these
9 pathogen-focused pathways, we really are talking
10 about a single pathogen.

11 So there's good and bad news in this. The
12 tier A and B are well and truly launched, and they
13 have been the standard approach that we use for
14 development of infectious disease agents. There is
15 well-developed guidance to support this, and a lot
16 of programs are proceeding. The bad news is that
17 this tier B that is a little less structured has
18 been criticized. And I'm an epidemiologist. I'm
19 part of that group, but I think we also have to
20 recognize that we have a new reality and that we're
21 going to have to balance some of these things.

22 The other piece of bad news is design

1 options for these rare pathogens and narrow-
2 spectrum drugs are a little less obvious. So
3 efforts to direct and pursue this, such as trying
4 to show superiority, as was mentioned earlier by a
5 couple of the speakers, have failed.

6 The classic example is the difficulty that
7 Achaeogen had in terms of screening many, many,
8 many patients to actually identify very few
9 potential patients that could be enrolled in their
10 study.

11 This is a summary slide, and people have
12 talked to you about this workshop, so I'd just
13 really like to focus in on one area if I could.
14 This is from the July 19th workshop, where they
15 really worked through some hypothetical areas to
16 try and understand how to better approach this.

17 What you can see along the columns, the rows
18 actually, are the different infections. So you
19 have pneumonia, you have intraabdominal infection,
20 you have UTI, and then you have skin and soft
21 tissue. And then you have, along the columns, some
22 estimates of the prevalence of these agents. And

1 if you look on the far right, what you'll see is
2 the consensus.

3 So you can see that, for *Pseudomonas*
4 *aeruginosa*, the consensus, if you take all of this
5 body of literature, is that these are relatively
6 rare. This is just the isolation of these
7 pathogens. This isn't actually looking at whether
8 or not these people could be potentially enrolled
9 into a clinical study, so it's almost like the
10 best-case scenario.

11 Now, if you translate that and start looking
12 at what that would mean for a non-inferiority
13 clinical trial and say you take your culture
14 positivity rate -- and I just showed you that
15 15 percent was about the rate. If you go to the
16 very, very bottom row, what you see is if we had
17 30 percent culture positivity -- and this assumes
18 that we can get everyone in there -- you'll see the
19 number of patients you would need in each arm,
20 around 1900.

21 If you move over to your right and you look
22 at a culture positivity of 15 to 6 percent, what

1 you can see is the total number of patients that
2 would need to be enrolled can go from anywhere from
3 3800 up to 19,000. And we all know that's not
4 going to be feasible for an agent like Pseudomonas
5 or Acinetobacter.

6 Now, all of us love diagnostic tests, and we
7 recognize the critical need for diagnostic tests,
8 but this isn't going to solve our problem. This is
9 not going to create patients that can be enrolled
10 in clinical trials. This just tells us how many
11 patients have it.

12 These tests help us better select patients
13 that can be enrolled in studies, but they're not
14 going to identify all of these infections that may
15 or may not be there. And the sponsor still has to
16 screen all of these patients to make sure that they
17 can be enrolled in these studies. So we are not
18 convinced that non-inferiority is an option.

19 An earlier speaker from the FDA talked a
20 little bit about the superiority clinical trials
21 and also mentioned that this may not be a reliable
22 path, either. In fact, if you look at the example

1 of extremely resistant *Pseudomonas aeruginosa*, what
2 you can see is that, first of all, it would require
3 the emergence of extremely resistant strains, which
4 none of us are convinced is a good thing. It's not
5 predictable, and it potentially could, as I said,
6 be very, very devastating for our public health.

7 If we compare a new drug with standard of
8 care versus standard of care, standard of care is
9 commonly not clear with these agents. We are
10 individually making up cocktails. We call our
11 friends to say, "What did you use in this?" But
12 the standard of care is unclear in this particular
13 setting.

14 So I'm not sure we could define for you what
15 a standard of care should be. And there's a very
16 low chance that new plus standard of care could
17 beat standard of care, and we may not have even
18 enough patients to actually really define that.

19 As Pranita Tamma very nicely said,
20 "Meta-analyses that have been conducted,
21 exclusively evaluating randomized clinical trials,
22 demonstrate no difference. But there are well-

1 documented increases in toxicities with these
2 combination therapies, which is the other risk."

3 So what do we do? There have been mention
4 of a couple of potential pathways, and there are
5 four things that we should consider. One is the
6 consideration of using PK/PD-based dosing. So this
7 is looking at pharmacokinetics and dynamics, and
8 actually trying to enhance the doses that we give
9 people.

10 The pharmacists have been talking about this
11 for a long time, and I think we really are gaining
12 increasing knowledge and understanding of how we
13 can enhance the predictability, and effectiveness,
14 and efficaciousness of these drugs in a variety of
15 body sites and lung sites. And we can garner a lot
16 of information from looking at this, and I'll show
17 you in a minute, even to the point that we can use
18 this information to predict clinical outcomes.

19 We can look at validated animal models and
20 fashion this after the Animal Rule based on one of
21 the previous FDA workshops.

22 Here is the PK/PD data, and this doesn't

1 really tell you about toxicity, but drugs with
2 well-validated dosing regimens can very
3 consistently succeed in P3 studies.

4 So what this graphic actually shows you is
5 that the probability of the PK/PD target attainment
6 actually being clinically efficacious is relatively
7 well correlated. And out of the 20 pneumonia
8 programs that were looked at with 17 antibiotics,
9 14 actually received regulatory approval based on
10 clinical data but had already had appropriate PK/PD
11 information.

12 In terms of the animal models, I'm not going
13 to reiterate what was said by previous speakers,
14 but just really to point out that you can use this
15 information in ameliorating or preventing serious
16 or life-threatening conditions caused by exposure
17 to lethal or disabling biologic, which is what
18 we're interested, agents. So there is a precedent
19 for this.

20 The other thing that's important about the
21 animal rules is the postmarketing studies. We
22 would actually really like to highlight this as one

1 of the components we think is critical if we
2 integrate this kind of information into moving
3 forward. These field studies really help provide
4 information about safety clinical benefit in
5 circumstances that arise.

6 So the other things to consider when you're
7 thinking about these kinds of things is having some
8 kind of surveillance system that will keep track of
9 and garner all the follow-up information that you
10 would collect, and then also think about ways of
11 labeling to patients that really explains some of
12 the ethical and feasibility reasons behind a drug's
13 approval.

14 The two other considerations that we think
15 are important are validated external controls and
16 then the use of very small clinical datasets, which
17 would require a pooling of data from multiple body
18 sites. And I think that last one, I have a couple
19 of additional comments I'll make.

20 So in terms of validated external controls,
21 we do need to assemble well-defined sets of
22 controls. There need to be enough to permit

1 reasonable matching to patients that get a test
2 agent. There are a lot of issues with controls.
3 Are they people who have less resistant organisms
4 or are they just people in the hospital? I mean,
5 what is a good control? Then you have to have
6 enough data on the controls to be able to control
7 for or to analyze some factors that may actually
8 confound or alter the outcomes.

9 The pros of doing this is it's feasible.
10 It's a technique we've used for a long time in
11 epidemiologic studies. It permits clinical studies
12 to put all patients on a test, and you kind of
13 maximize the experience of a test.

14 The con is that they're easy to criticize as
15 a weak approach. That being said, there's
16 certainly data out there that well-designed case
17 control studies, which essentially is what we're
18 talking about, can actually provide you at least
19 some reflective data that can be useful and reflect
20 the truth, if you will.

21 In terms of very small clinical datasets,
22 there's a lot to say for this. They can help focus

1 analyses and at least give us some information that
2 we can use. We can't expect the level of
3 information that we would get from a non-
4 inferiority kind of analysis, but it is at least
5 something that could be feasible.

6 We could also pool data from multiple sites.
7 We would think about how to do that, maybe permit
8 up to 48 hours of prior therapies so that you could
9 enroll people. This would certainly push
10 microbiology labs a lot. And you could also
11 consider some more sophisticated analyses to
12 enhance the quality of information that you get
13 from this.

14 Both routes I think will provide some
15 quality information. I think we also need to all
16 recognize that there will be complaints, and
17 there's not going to be a perfect solution.

18 The other thing I will say about very small
19 clinical datasets is it's going to require somewhat
20 of a paradigm shift than what we've been using in
21 medicine. We tend not to share these data, and
22 they haven't been available in the public domain in

1 a way that this kind of approach is going to need.
2 So that also will require your leadership moving
3 forward, and also I would say, IDSA's.

4 So these are the four potential ideas that
5 have emerged. Possible plans could be combining
6 some of this. You could have something like Animal
7 Rule animal models with zero clinical efficacy
8 data. You could have good animal models with some
9 clinical efficacy data. There could be variations
10 on this theme, if you will.

11 We do need to pull out our steel backs.
12 There will be criticism. And I think either action
13 or inaction is going to have risks and will lead to
14 criticism. Some stakeholders have shown
15 unrealistic thinking, and there are some recent
16 examples, including the recent approval of an agent
17 for mucormycosis.

18 In that, what we saw was an editorial with
19 three senior academics that said the FDA needs to
20 facilitate simpler and less challenging pathways,
21 and then we shouldn't have approved this drug.

22 So we're in a catch-22 in a way, and part of

1 this process is going to be educating all of the
2 stakeholders on the risks and the benefits, but
3 also putting it into context of a really critical
4 unmet need.

5 Other things to think about and development
6 options, I think all of us would love to see the
7 FDA develop a briefing document and enhance the
8 briefing document. But I guess what we would say
9 is the non-inferiority trial, while it's great in
10 many instances, may not be feasible in this
11 particular instance. Superiority trials, we agree,
12 registration can depend on rare and accidental
13 events such as a window in time when standard
14 therapies are inadequate.

15 We could consider approval on the Animal
16 Rule. Approving based on clinical trials in
17 animals doesn't always make sense, but when it's
18 possible to move forward with some clinical data,
19 this could be helpful. And then we can use some
20 surrogate endpoints and maybe work towards an
21 accelerated approval. And this is where we really
22 think the role of the PK/PD makes good sense. And

1 again, we'd love to see it married with something
2 such as an animal rule or some clinical data.

3 There are not any simple ideas, and there
4 are really, at this point, as far as we can see, no
5 tricks that we can pull out of our hat.

6 So in summary, what we'd say is that we are
7 currently treating patients with drugs that are
8 toxic, where we have limited or no data. We're
9 using combinations that are based on our best
10 guesses.

11 We are looking ahead towards maybe new
12 pathways to start dealing with some of these
13 critical agents that are not necessarily going to
14 be very common. And we think a reliable path may
15 be a new path where we take novel ideas or other
16 techniques, if you will, and start integrating them
17 into a process where we can move some drugs forward
18 for meeting this unmet need.

19 Then we think, as had been mentioned, that
20 there are other mechanisms out there that will
21 really support this type of path, including the
22 LPAD mechanism. I can certainly say personally as

1 an ID physician that I think that the IDSA is
2 really committed to making sure that there are
3 content experts out there that are going to support
4 any kind of moves that are in the process of
5 occurring, and certainly we will want to assure
6 that we lead stewardship efforts and other efforts
7 that are going to facilitate this moving forward.

8 Finally, just to reiterate, the future
9 resistance is pretty grim, and the list at the
10 bottom are the WHO pathogens that have moved to the
11 critical priority area. And for those of you who
12 do take care of these patients, you will agree that
13 it's very, very frustrating to actually not be able
14 to cure someone from an infection.

15 What are we going to do as a society? We
16 are going to work on educating the public. We
17 think that's going to be important in moving this
18 type of process forward. We are certainly going to
19 be -- we will take a lead in trying to enhance
20 clinician education about treatment guidelines,
21 about the new processes hopefully that will be
22 developed to look at these kinds of drugs, and

1 about the importance of stewardship.

2 We will continue to advocate for groups like
3 this that we think are pushing new ideas forward,
4 and we're more than happy to provide the technical
5 expertise to support this kind of process. We do,
6 however, feel that we need to act now, that this is
7 critical, and that we don't have a lot of time.

8 These are our faces of resistance and people
9 who shared their stories, and many did not survive
10 their infections. So I just want to thank the
11 group that has really championed this effort at
12 IDSA, and thank you for this time.

13 **Clarifying Questions**

14 DR. BADEN: Thank you, Dr. Perl.

15 We will now move to a clarifying question
16 part of the agenda. I just want to frame a little
17 bit what the agency has asked of us. We're to
18 provide guidance for a very real threat to health,
19 as we have heard, yet it is conceptual. And that
20 creates a challenge when guidance is often grounded
21 in the particulars of a circumstance.

22 They have provided us information on two

1 real examples, but these are examples, not specific
2 products that we are here to debate. But I think
3 we need to leverage them to help explore the
4 issues, to provide guidance as to a pathway to
5 advance new therapies, which I think we all would
6 agree we need, but how to do that, balancing the
7 competing interests that have been raised?

8 This is restricted to the serious uncommon
9 infections. I don't think our discussion is to
10 expand to all anti-infectives. It's a narrow area
11 of an important unmet need, and that our discussion
12 should take that into consideration and work under
13 that assumption related to uncommon, serious, life-
14 threatening, limited other treatment options as the
15 framework that we've heard from this morning.

16 I would like to make sure that the agency
17 and Dr. Cox, Dr. Nambiar don't disagree with any of
18 my framing, as that will facilitate some of the
19 discussion over the next few hours.

20 DR. COX: The framing sounds good. Thanks.

21 DR. BADEN: In our discussion, please get my
22 attention or Lauren's attention, so we can keep

1 track of those with questions. As we get on
2 certain themes, if we can build on those themes,
3 please let Lauren or myself know if you have a
4 follow-on question to the area of discussion that
5 is being pursued.

6 Are there any clarifying questions for the
7 presenters? Please remember to state your name for
8 the record before you speak. If you can please
9 direct questions to a specific presenter, that
10 would facilitate the discussion.

11 Dr. Daskalakis?

12 DR. DASKALAKIS: Demetre Daskalakis, New
13 York City Department of Health. I actually have a
14 question for Dr. Nambiar, just a clarifying
15 question, and maybe a little bit more depth on, I
16 think, a really provocative bullet on slide 16,
17 which talks about treatment effect and using
18 treatment effect to decide on a potential margin
19 for a non-inferiority study.

20 So I have three directly linked questions
21 around that, which is, how is treatment effect
22 estimated for a novel agent? Will that margin then

1 be dictated drug by drug in each study? And then,
2 does that mean that there is no standard treatment
3 effect in that framework for a non-inferiority?

4 DR. NAMBIAR: Yes, sure thing. So first, in
5 terms of treatment effect, we are talking about
6 treatment effect of an antibacterial drug over no
7 available therapies for a specific indication.

8 So if you take the indication of HAPB/VAPB,
9 just as an example, we've estimated the treatment
10 effect, and we really didn't have a lot of data on
11 untreated patients. So where we were able to
12 derive the treatment effect was based on patients
13 who got inappropriate therapy.

14 So we have a treatment effect for HAPB/VAPB
15 as an indication, and we have guidances that we
16 issued. And as an appendix to each of these
17 guidances, we write the justification for the non-
18 inferiority margin. So there, we go through the
19 process of how we define the treatment effect.

20 So for HAPB/VAPB, if I remember, there were
21 two or three studies where there was inappropriate
22 treatment. And then we looked at -- we don't have

1 placebo-controlled trials for direct comparison, so
2 we had to do a cross-study comparison. Then we
3 looked at treatment effect of effect of drugs that
4 had been used to treat HAPB/VAPB, and based on
5 that, we were able to quantify what is a treatment
6 effect.

7 We use a very conservative approach. We
8 look at the highest cure rate that you could get
9 with placebo and the lowest cure rate you could get
10 with an active treatment, and then compare the two.

11 So we do all that math. And essentially for
12 HAPB/VAPB, where we ended up is the treatment
13 effect was around 29 percent for HAPB/VAPB. And
14 then given all the uncertainties, this is historic
15 data across study comparisons, et cetera, we have
16 accepted that the M1 is at least 20 percent. Then
17 we try to preserve a fraction of that treatment
18 effect, and that's the M2 or the non-inferiority
19 margin.

20 So for standard development programs, for an
21 endpoint of all-cause mortality at 28 days, we have
22 allowed for the use of a 10 percent non-inferiority

1 margin. Now, we've certainly allowed for a little
2 bit of flexibility for unmet need programs, we are
3 willing to take a little more uncertainty.

4 If you have a drug that covers the spectrum
5 of pathogens that treats HAPB/VAPB and you want to
6 develop it for unmet need, we have allowed for the
7 use of 12.5 percent NI margin, whereas for this
8 particular situation where you have a drug that
9 just treats one organism, in an indication which is
10 already very difficult to study, one possible
11 option is that we use a wider non-inferiority
12 margin.

13 So we should be closer to the M1 so that an
14 M1 of 20 percent, the treatment effect is really 29
15 with us discounting some when we came to 20
16 percent, we are okay with the non-inferiority trial
17 with an NI margin somewhere close to the
18 20 percent. So that's for the indication.

19 Now, for a particular comparator that you're
20 using for your non-inferiority trial, you might
21 have to do additional work. So across the board,
22 you're thinking that for most standard comparators,

1 this might be okay. But if you're, say, using a
2 colistin-based regimen, because that is the
3 standard of care for some of these, then we have to
4 do more work because there is the concern how
5 effective is colistin really.

6 So we have gone through that exercise, and
7 we can in fact quantify a reasonable treatment
8 effect, even with colistin-based regimens. So the
9 margin may not be exactly 20 percent, but it won't
10 be very far off from that 20 percent.

11 Does that answer your question? Sorry for
12 the long-winded response.

13 DR. DASKALAKIS: Yes. No, that was great.
14 Thank you.

15 DR. SHYR: Yu Shyr from Vanderbilt. I would
16 like to follow up on that, two questions. First
17 off, what you say, for some of them, you're equal
18 to estimated treatment effect. Right? You allow
19 that.

20 Do you do any simulation study to support
21 that? What is the characteristic if you really
22 widened that to as low as that? Even 12.5 percent,

1 10, any simulation study to prove or to understand
2 the characteristic, if you lowered that, the M1 is
3 still 20 percent now instead of 10, 12.5.

4 How would that affect it if we changed our
5 margin?

6 DR. NAMBIAR: I'm not sure about simulation
7 studies, but certainly -- do you mean impact on
8 sample sizes?

9 DR. SHYR: Yes, so based on the traditional
10 sample size now, I lose that to 12.5, and then how
11 would that --

12 DR. NAMBIAR: Yes. So it certainly has a
13 big effect, but I think the numbers that Dr. Robin
14 Isaacs presented, I think their assumptions were
15 using a 20 percent margin. So if you do a study of
16 about a couple hundred patients, you can roll out
17 to 20 percent non-inferiority margin.

18 DR. BADEN: Dr. Honegger?

19 DR. HONEGGER: Jonathan Honegger, Ohio
20 State. Just a follow-up question about the
21 treatment effect, say, for hospital-acquired
22 bacterial pneumonia, is the 20 percent estimate

1 reduced when you consider that some of these trials
2 might allow participation after 48 hours of pre-
3 treatment with an effective antibiotic, and what
4 would that be? Are there estimates on I guess the
5 treatment effect after someone has been pre-treated
6 for one or two days?

7 DR. NAMBIAR: Maybe, Dan, you can help me,
8 but I don't know if you have a specific margin.
9 The effect of prior therapies, particularly in
10 HAPB/VAPB, I don't think we have data to say how
11 much of a treatment effect is confounded by these
12 prior therapies. I think a lot of the data that we
13 have really comes from the daptomycin community-
14 acquired pneumonia study where there was a big
15 impact of up to 24 hours of prior effective
16 therapy.

17 So really, from a feasibility standpoint, we
18 have allowed for use of up to 24 hours of prior
19 therapy in HAPB/VAPB patients because if you
20 totally eliminate any prior therapy, it would be
21 impossible to enroll patients in a HAPB/VAPB trial.

22 But really, what is the magic number? Do

1 you lose a treatment effect with 36 hours or 48
2 hours? I think it's really an unknown. So
3 24 hours is sort of a practical decision, but maybe
4 Dan could comment as well.

5 DR. RUBIN: Yes. This is Dan Rubin from
6 FDA. I think you're right, Sumathi, that the data
7 in the appendices to our guidances on NI margins
8 are not pristine, and we don't have a great way to
9 quantify how those treatment effects of an active
10 control over placebo are impacted by the degree
11 down to the hour of the amount of prior therapy or
12 concomitant therapy.

13 Then on Dr. Shyr's question on looking at
14 operating characteristics of the trial as a
15 function of the margin, we can calculate without
16 simulations, obviously, how changing the margin
17 impacts sample size and how changing the margin
18 impacts the type 1 error rate for different degrees
19 of differences between a test drug and an active
20 control.

21 I guess one conceptual question I had for
22 the clinicians is, widening this margin out to a

1 very large value of the estimated treatment effect
2 of the active control over placebo, how do you
3 balance the need for future patients to have
4 therapy with what you're doing within this trial of
5 potentially allowing a win criteria based on a test
6 drug that may have a fairly large decrement
7 relative to an effective active control?

8 DR. BADEN: Dr. Follmann? Would anyone like
9 to answer Dr. Rubin's question? Dr. Goetz?

10 DR. GOETZ: I'm not sure I'll answer it, but
11 I have a follow-up question and then I'll muse on
12 his question. It's different than answering.

13 DR. BADEN: Otherwise, I'll have to muse on
14 it. I appreciate it.

15 DR. GOETZ: So what I hear you saying about
16 large establishment of M1 and M2 is that we're
17 likely to be left with a great deal of
18 vulnerability and uncertainty based upon our
19 certainty that M1 for HAPB/VAPB as a whole
20 treatment effect translates to M1 for
21 Acinetobacter, Pseudomonas, or any other single
22 pathogen because we don't have a well-curated

1 dataset that drills down to a single pathogen. So
2 there's vulnerability there. We have estimates.
3 We're going to be left with that.

4 Then I also hear clearly, and I agree with
5 it, that we have the vulnerability of knowing how
6 effective the agent is when a person may have
7 received 24 to 48 hours of concomitant therapy with
8 amikacin or some other agent, which would also have
9 some activity, perhaps not the activity we want,
10 but some activity against one of those pathogens.

11 So I think that sort of adds to all the
12 discussions that we've had, if I'm understanding
13 you properly, and I see heads nodding in agreement,
14 of the reliance upon clinical data only will leave
15 us with some fragility in our confidence.

16 Then as a clinician, I'll muse now. I guess
17 that was more of a comment on your comments rather
18 than a question, per se, but I see agreement with
19 what I'm saying.

20 DR. RUBIN: I think you're right. You used
21 the term "vulnerability" or "fragility," which sort
22 of already exists for these non-inferiority trials

1 and margins. And I think the point here is that
2 when we're talking about larger margins to increase
3 the feasibility of these trials for single
4 pathogens, that that vulnerability or fragility may
5 be amplified to some extent.

6 DR. GOETZ: It's amplified by the larger
7 margins and also knowing that the set point we have
8 for that margin is more uncertain itself.

9 So speaking as a clinician, we have the
10 vulnerability, which has I think been spoken of by
11 the IDSA and many others, that the agents that
12 we're using now to treat patients are not to our
13 liking. They're the best available, or we think,
14 and they are used. And I would pose that we have a
15 great reason to move forward to find a pathway to
16 find better drugs. That's my musing.

17 DR. BADEN: Yes. I mean, I think what's
18 implicit in that is the more serious and life-
19 threatening the pathogen with no established
20 treatment allows greater fragility to the data than
21 pathogens that are not as life-threatening or for
22 which there are other treatments that are

1 available. And I think that is part of the reason
2 we're here, to help figure out how to think about
3 the extreme of that margin, the limitations of the
4 data, in the setting where it's life threatening
5 with limited other treatment. And then we have to
6 manage the uncertainty.

7 I think Dr. Follman has a question or
8 clarifying comment.

9 DR. FOLLMAN: Yes. I wanted to talk a
10 little bit about the margin, and then I have a
11 question for Dr. Isaacs. I guess I heard today
12 that the FDA is considering moving to a margin of
13 20 percent. There was some rationale for why that
14 was appropriate. It gives you a smaller sample
15 size, so the studies become more feasible. And we
16 understand that a downside of that is that there's
17 more uncertainty of it.

18 So I was thinking about that. And when you
19 have a 20 percent margin, say, in a mortality
20 endpoint, you're willing to accept a greater death
21 rate on the new drug of some magnitude.

22 I did a calculation that said let's suppose

1 the new drug is really 10 percent worse in terms of
2 mortality, so there's 10 percent greater death rate
3 in the new drug group. With a 20 percent margin
4 about one time out of four, you'll approve that
5 worse drug that has a 10 percent greater mortality
6 compared to the comparator.

7 There are different ways to frame the
8 consequences of a 20 percent margin, and that's
9 particularly one. You're okay one chance out of
10 four with a new drug that's 10 percent worse on
11 mortality.

12 The question I have for Dr. Isaacs has to do
13 with slide number 8, and it's sort of related to
14 the margin question about the small sample size,
15 what can we really know about the treatment harms
16 and benefits.

17 So one thing I saw in this slide, slide
18 8 -- so there's a point that allows patients with
19 other infections into a parallel non-comparative
20 arm. So I take that, you're going to bring in
21 patients and study your new drug in a one-arm
22 study, basically. Is that right?

1 DR. BADEN: Please activate the mic for
2 Dr. Isaacs.

3 Dr. Isaacs, thank you for clarifying on
4 these issues.

5 DR. ISAACS: So thank you for the question.
6 The intent of the study is to have essentially two
7 parallel components. One component would be the
8 formal comparator controlled, where you would be
9 comparing the active agent or the experimental
10 agent, sulbactam ETX2514 versus the standard of
11 care, colistin plus a carbapenem in patients with
12 hospital-acquired or ventilator-acquired pneumonia
13 and/or bloodstream infections.

14 There are still other patients who are going
15 to identify with Acinetobacter infections that
16 don't have pulmonary or bloodstream infections.
17 And given the relatively limited dataset that we
18 are talking about for the comparative arm, it would
19 be a shame to lose the data that one might generate
20 from those patients.

21 So our proposal is that there's a parallel
22 open arm where patients with non-pulmonary, non-

1 bloodstream infections get enrolled, get treated
2 with 2514 sulbactam, and generate data which is
3 supportive of the primary analysis. That parallel
4 arm would not be comparative and would only be
5 supportive.

6 Did that answer your question?

7 DR. FOLLMAN: Yes. The question was
8 prompted by some of the discussion earlier where
9 they talk about how you need a safety database.
10 Sometimes, that's established partly with healthy
11 people, so that's maybe not so translatable to the
12 people in these studies.

13 You do have a comparative study where you
14 compare your new drug to a comparator, so you get
15 safety data on that as well along with efficacy.
16 But it seems like this could be an opportunity
17 where you give some of the people your new drug and
18 some of the people the standard of care and look at
19 safety outcomes there, so an expanded safety
20 database to help offset in some sense perhaps the
21 large margin that you have.

22 So we can't really get at efficacy, but if

1 there's off-target effects of your new drug causing
2 greater mortality, maybe we could see that in your
3 comparator arm, the arm you just talked about,
4 people who don't meet the inclusion criteria for
5 the comparative study and would be enrolled with
6 your new drug.

7 But if you could randomize to that, then you
8 would have comparative safety data, which could
9 help see if there are off-target effects.

10 DR. BADEN: Dr. Follman, this slide caught
11 my interest quite a bit. Dr. Isaacs, please don't
12 leave us yet, because I think this slide has many
13 issues embedded in it that we are struggling with.
14 And again, I stress this is not an evaluation of
15 your compound. It really is leveraging the thought
16 that you all have put into this to help air the
17 implicit complexities.

18 In bullet 2, enrolling patients with proven
19 *A. baumannii*, how do you actually do that since
20 that is, in my mind, a key element of the study
21 design, since I find it in my own practice
22 extremely difficult to understand the organism

1 causing VABP, among other infections?

2 DR. ISAACS: I think we meant by the comment
3 on that slide that they needed to have
4 culture-proven evidence that *Acinetobacter*
5 *baumannii* was involved in the patient. I
6 acknowledge the concerns that in polymicrobial
7 infections -- and *Acinetobacter baumannii*s are
8 often part of a polymicrobial infection -- you can
9 have difficulty in elucidating which element that
10 you identify in the polymicrobial infection as the
11 dominant pathogen.

12 Having said that, one of the issues that we
13 face -- and I did not specifically allude to as a
14 statement, but it pervades our entire presentation,
15 the reason you are here today -- is there is a
16 certain feasibility issue here that we have to deal
17 with.

18 So for example, to identify approximately
19 200 patients to enable you to get around 120
20 patients with multi-drug-resistant infections, we
21 would estimate that we're going to need a hundred
22 sites for 18 months to do that. That's a non-

1 trivial exercise. Anything which increases the
2 size of that study inherently increases the needs
3 for the size of the program you're looking at.

4 The comment that I would make in regards to
5 that is, it's important to be able to generate the
6 data in a time frame where the world is not
7 changing so rapidly around you, that by the time
8 you get to the end of the study, the study doesn't
9 give you the data you want, or by the time you're
10 halfway through, you can't complete the other half
11 of the study.

12 So we have tried in what we have put
13 together today to put together something which we
14 believe represents a feasible way to generate data,
15 which provides tractable and useful information
16 about the efficacy of the product.

17 DR. BADEN: Along those thoughts on your
18 arrow, near the bottom, why dominance of multi-
19 drug-resistant organism versus demonstrating
20 activity for the organism in question in general?

21 DR. ISAACS: So I think that's actually,
22 from my perspective, a relatively straightforward

1 question to answer. It's because we think it's
2 completely unfeasible to enroll a study where
3 patients have no prior therapy and nor do they have
4 Acinetobacter to start, or for that matter have
5 24 hours of therapy or less and have proven
6 Acinetobacter at the time.

7 The chances that they're being treated with
8 something appropriate in that group with multi-
9 drug-resistant infections or carbapenem could just
10 as easily be stated as carbapenem-resistant
11 infections is pretty small.

12 So that group on this slide of 118 patients
13 with multi-drug-resistant infections in many
14 regards represents a population who's been
15 receiving inadequate therapy, and so represents a
16 truer test of the power of the experimental agent
17 relative to control.

18 DR. BADEN: Thank you.

19 Follow-on questions for Dr. Isaac?

20 DR. BENNETT: This has to do with the
21 limitations of non-inferiority trials, which are
22 vulnerable to errors in diagnosis. To the extent

1 that the study populations have a different
2 diagnosis, both arms of the study will tend to show
3 the same result.

4 The problem with these organisms is they not
5 only turn up in respiratory samples like VAPB and
6 HAPB, they also turn up in the urinary tract of
7 patients, particularly patients who are
8 catheterized. They turn up in existing abdominal
9 drains and wounds. And we cannot tell the
10 difference between colonization and infection.
11 And to the extent that this population is not
12 actually infected, the non-inferiority trial will
13 tend to show the same thing.

14 This has to do with the second point that
15 you already discussed, Dr. Baden, enrolling
16 patients with proven baumannii. So the issue is
17 the culture is not always the diagnosis.

18 DR. BADEN: Thank you.

19 If no other follow-on questions, a follow-on
20 for Dr. Isaacs?

21 DR. HILTON: I like Dr. Isaacs' illustration
22 of an example where the comparator regimen has

1 40 percent mortality, the placebo has 80 percent,
2 and the experimental agent is sandwiched in between
3 those at 60 percent mortality. Right?

4 So in a non-inferiority trial, we assume
5 that the comparator regimen response rate remains
6 constant over time. And in this setting, it
7 potentially is waning in efficacy, so that
8 40 percent mortality is growing ever closer to 80
9 percent. And that makes it very hard to conduct a
10 non-inferiority trial when your margin, your
11 reference is shifting.

12 So an idea that I haven't heard proposed is
13 that maybe we think about phase 2 designs, because
14 we can't randomize to placebo, but the placebo
15 response rate will be remaining stable presumably.
16 And we can at least look for a mortality rate that
17 is better than the placebo and as much better as
18 possible. Thank you.

19 DR. MARKS: I think I'm just making sure I'm
20 hearing exactly what we're saying. In terms of the
21 definition of proven, am I hearing correctly that
22 if you have a multi-pathogen culture, if

1 Acinetobacter is one of the pathogens that grows
2 out, that is part of the definition of proven?
3 That's my first question.

4 Then the other is the value of why you're
5 focusing on just the MDR pathogens out of this
6 rather than the entire 200, I assume; roughly 60
7 percent times 200 gives you roughly 120. So you
8 have 200 subjects with that pathogen, why focus
9 on -- especially in a non-inferiority design, why
10 focus on -- especially since you have a nested
11 superiority subset where you could look at the 120?

12 So I'm just trying to make sure I understand
13 the conversation.

14 DR. ISAACS: Can I start with the second
15 question first? The study design -- this is a
16 pathogen-specific agent. I think one of the things
17 that really hasn't been discussed today is that
18 when you're studying a pathogen-specific in such an
19 ill population with a propensity for polymicrobial
20 infections, there's going to have to be background
21 therapy there.

22 So the study design essentially is the

1 experimental agent plus background therapy versus
2 the comparator plus the same background therapy.
3 So in this case, it would be 2514 sulbactam,
4 ETX2514 sulbactam, plus a carbapenem versus
5 colistin plus the same carbapenem.

6 So the need to focus on the multi-drug-
7 resistant infections represents not just the need
8 to take into account this prior therapy issue, but
9 also that the background therapy may be
10 contributing to the response in the patients who
11 don't have the multi-drug-resistant infections.

12 The first question really related, I
13 believe, to the definition of what represents a
14 case to enroll in the pneumonia study. And this is
15 clearly something that we will need to discuss at
16 the time when we finalize the phase 3 protocol.

17 But it's my belief that the patients meet
18 the criteria for hospital-acquired or ventilator-
19 acquired pneumonia, and there are defined ways of
20 representing that diagnosis. And they culture from
21 their sputum Acinetobacter plus potentially other
22 pathogens, and the magnitude of the Acinetobacter

1 suggests it's not just hanging around as a
2 contaminant. But I think that that definition is a
3 very critical component of the study design moving
4 forward.

5 DR. MARKS: Just to make sure I didn't
6 confuse you, so you did say that if it grows out of
7 the culture, then it would be part of your
8 definition of proven in the background of that and
9 that it wasn't just hanging around.

10 I think you're hitting on what I'm trying to
11 help guide people in the industry with. I'm not
12 sure what hanging around means. It'll either grow
13 or it won't grow. Right? Your culture's going to
14 come back positive.

15 DR. ISAACS: Right. But I mean, if it's
16 just a very small amount -- sometimes you get a
17 marked predominance of one pathogen coming out of
18 the sputum.

19 DR. MARKS: So you would have some type of
20 quantitative measure that would be associated with
21 that.

22 DR. ISAACS: Or at least semi-qualitative.

1 DR. MARKS: Then the reason why I was
2 focusing on the background rate is because, by
3 definition, these 118 won't be obligatorily
4 resistant to your background therapy. So you're
5 not really excluding that impact of the drug
6 separating.

7 DR. BADEN: I think that the issue more is
8 the generic issue that's trying to be raised as to
9 the phenotype of the infection, the site of
10 infection, the certainty of infection, and then
11 characteristics of the organism that may be
12 impacted by the optimized background plus/minus
13 whatever the intervention is, whether it's some
14 approved intervention or versus the new agent.

15 That is what you're driving at, is my sense.

16 DR. MARKS: Yes. It is. And I'm also
17 trying to take advantage of the fact that you have
18 200 patients with this unusual pathogen, trying to
19 maximize what we learned from that, because they're
20 not going to be, by default, resistant to the
21 regimen, best available background therapy that
22 you're going to have as we move with more and more

1 new agents.

2 DR. BADEN: And what's implicit in that is
3 also the toxicity issue, which is if the comparator
4 extra drug A happens to be a polymyxin or colistin
5 that has its own intrinsic toxicity, that is in
6 addition to the optimized background, that is being
7 compared to the new agent, and getting to the issue
8 of side effects, that may or may not be easy to
9 tease out. But that comes with the complexity of
10 these types of illness where this infection occurs.

11 I don't know, Dr. Isaacs if you had other
12 comments to follow on.

13 DR. ISAACS: Great summary. Thank you.

14 DR. BADEN: I think next is Dr. Andrews.

15 DR. ANDREWS: I have a clarifying question.
16 I guess it's to the FDA. Well, two, really. One
17 is, I was taken by your comment that we want to
18 think about how this is going to work in the real
19 world. After the study's done, what's the end
20 game?

21 Is this meant to be another tool that's
22 added to that cocktail that we heard about for

1 people who have multiple pathogens, or could this
2 be something that's used by itself and might be
3 less toxic for people? Or is it about the public
4 health issues of just knocking out one bug as
5 opposed to -- and the issues of side effects for
6 people, because I think that changes how I as a
7 consumer representative think about the question.

8 DR. COX: Yes. I think it's really all
9 those things. You've mentioned a few different
10 things, which is narrow-spectrum drugs may have
11 less impact upon people's resident flora. And if
12 you have less of an effect on the GI flora, maybe
13 we'll see lower instances of C. diff colitis,
14 fungal infections, other problems. So that's one
15 of the issues you mentioned.

16 You raised the issue of how will people use
17 this drug. Will they use it as part of an empiric
18 cocktail or will they use it only in the setting
19 of, say, an outbreak, in an ICU where there's a
20 particular problem, bacteria where you have limited
21 treatment options?

22 That's actually, I think, our last part of

1 question 2 because we're hoping to understand that
2 also to get a little more insight into how
3 clinicians envision using such drugs because, as
4 you mentioned, it impacts upon the benefit-risk.

5 You had a third part, but pardon me, it's
6 escaping me.

7 DR. ANDREWS: Just the public health
8 benefits of not using wide-spectrum medications if
9 you can avoid it.

10 DR. COX: Right. So we talked some about
11 less impact on flora. Oh, you asked about the
12 empiric use and would people use this as a single
13 agent. And I think, as you've heard from some of
14 the folks that were talking about the study design,
15 and one of the issues that we're trying to grapple
16 with, is that if somebody has an infection, a
17 serious infection in the ICU, we know how important
18 those initial doses of effective therapy are.

19 It takes time to make a diagnosis. It takes
20 time to enroll a patient in a clinical trial. So
21 we're already seeing -- I think the clinical trials
22 are giving us some insights into this problem that

1 in fact people will at the point of not having a
2 diagnosis, you're usually going with an empiric
3 regimen with broad-spectrum therapies.

4 Some of the patients, as we've heard -- and
5 we've looked at some data. Patients who have
6 *Acinetobacter baumannii*, some fraction of them,
7 it's somewhere in the neighborhood of about
8 50 percent, will also have a second organism
9 isolated.

10 So in the critically ill patient, given some
11 of the issues around diagnostic uncertainty, it may
12 be that, in fact, people do use other agents
13 despite having a diagnosis or having a culture
14 result that gives them two different organisms.
15 But that's certainly something, too where we'd be
16 interested in hearing the insights of folks on the
17 panel.

18 In a critically ill patient, how would such
19 a product be used if it were out there? But we
20 welcome thoughts from others on that topic.

21 DR. ANDREWS: I didn't mean to embed three
22 questions in my first one, but I do it a lot.

1 DR. BADEN: Well done

2 DR. ANDREWS: Thank you. The second one was
3 that -- I'm out on a very thin limb here -- I know
4 that in other drugs that we've looked at, there are
5 often clues, given the structure of the molecule or
6 how it's expected to work, to what the
7 toxicities -- I mean, as a consumer advocate, we're
8 always worried about safety, and these are very
9 toxic medications.

10 Given the results that you might get or even
11 just knowing what the candidate is, do we have to
12 give you like 1 through 4, and you have to pick
13 one, or can you be more flexible based on what's
14 presented to you?

15 Like in this case, more animal studies might
16 make sense, in another case the small sample set.
17 Do you need a definitive answer from us, or can we
18 leave it as a menu? And we're not voting, but I
19 vote for the latter.

20 DR. COX: I think you've raised another
21 important point, which is that some of the
22 therapies that might be developed that target a

1 single species may be different than the classes of
2 drugs that we've seen in the past.

3 Oftentimes, adverse effects that we see in a
4 class -- there are some that are common across the
5 class, so we have some insights into how a
6 particular molecule might behave within a class.
7 But as the compound, the molecule becomes more
8 novel, something that we have not seen previously
9 or from a different class, the pre-clinical
10 studies, the animal studies may give us insights
11 into what that molecule may do. We may not have
12 the benefit of a whole lot of experience with
13 molecules of this type.

14 I understand your comment, which is, don't
15 limit it to any one particular menu-driven
16 approach, but take into consideration the nature of
17 the molecule you're dealing with, what you've
18 learned from the pre-clinical studies, and what may
19 be less familiarity with the particular type of
20 molecule, given that it may be different than
21 things that we've seen previously.

22 Is that fair?

1 DR. ANDREWS: Yes.

2 DR. BADEN: I can't help be keep
3 reiterating, if there's an 80 percent mortality
4 versus a 0.8 percent mortality, in my own view, I
5 look at safety a little bit different, and we
6 wouldn't have cancer centers if we didn't accept
7 toxicity. So it's balance with the alternative,
8 assuming it's well defined what that alternative
9 is.

10 Yes, Dr. Green?

11 DR. GREEN: So I would also point out that
12 the science is really looking at novel targets.
13 And many of these targets may be more specific to
14 prokaryotes than eukaryotes. So it's possible that
15 we could be identifying novel drugs who have an
16 excellent safety profile because they're more
17 focused on a prokaryotic target.

18 In fact, I don't remember if this is one of
19 the options that I saw, but we could see a drug
20 that has less efficacy, but higher safety. And in
21 the patient population that we're talking about,
22 avoiding renal failure -- because we're looking at

1 all-cause 28-day mortality. So it may be that by
2 using a less toxic but also a little bit less
3 efficacious drug, that you can allow for a greater
4 28-day survival effect than you could be having a
5 drug that kills the bug but also kills the kidney.

6 I think we have to kind of keep that in our
7 mind as well because, again, we're looking at a
8 patient population that's fragile, not only an NI
9 index that creates fragility in our interpretation
10 of the data.

11 DR. BADEN: Thank you, Dr. Green.

12 Dr. Ighov? Thank you.

13 DR. OFOTOKUN: [Inaudible - off mic].

14 DR. BADEN: Thank you. Dr. Shyr?

15 DR. SHYR: Shyr. Let's follow Dr. Rubin's
16 question, the answer about the simulation. So I
17 think now we are facing, say, it's not very
18 feasible to get that many patients. The original
19 RN1 was very conservative. We used the upper and
20 the lower, the worst.

21 My question is, to think about -- to think
22 to look at the two distributions, and to look at

1 simulation, too, because that's the most
2 conservative. That's how we generate our first
3 margin.

4 If we use the simulation to see -- if we
5 simulate enough to see what's the most likely, not
6 most conservative, and then to get some sort of
7 idea, and then they use the relative risk idea
8 instead of fixed 10 percent or 20 percent, and use
9 that to look at the characteristics of the entire
10 field -- because now we know you've fixed it,
11 changed the 20, 10 to 12.5 to 20. I know how
12 exactly to calculate the sample size.

13 If I use two distributions to simulate the
14 distribution of that difference, and then use the
15 relative risk idea, and to estimate how will that
16 affect the sample size -- I don't know. I'm just
17 kind of curious did FDA ever think about using that
18 idea instead of very conservative margin, and use
19 more like the two distribution. That's my number
20 one question.

21 Number two, I want to clarify, again, you
22 talk about possible designs for non-inferiority,

1 superiority, all this, randomized phase 3. I want
2 to echo Dr. Hilton's question. Have you ever
3 discussed during the previous two meetings about
4 the possibility of two independent phase 2 trials?

5 Then we have a more clear pure study
6 population, and they get some kind of conditional
7 proof and follow a randomized phase 4 or very
8 rigorous head-to-head comparison later on.

9 Have any of this discussion happened during
10 those two days? Those are two clarifying
11 questions.

12 DR. RUBIN: Thank you. So on your first
13 question about the margin, in some cases, we have
14 looked at other effect metrics other than the risk
15 difference, such as the odds ratio. And in fact, I
16 think there have been public discussions about
17 that.

18 But in terms of looking at simulations and
19 distributions, I guess maybe I did more detail
20 offline about what exactly you were proposing. One
21 difficulty is that from some of the historical
22 studies, literature-based studies, we may not

1 always have patient-level data.

2 I guess I might turn it over to my
3 colleagues on any comments about phase 2 studies,
4 with the caveat being that sometimes if you're
5 dealing with a pathogen or disease, it's already
6 very difficult to study due to the rarity, that it
7 sometimes may make more sense to put eggs in the
8 basket of phase 3 earlier, assuming that it's
9 ethical and possible.

10 DR. NAMBIAR: So in terms of your question
11 about phase 2 and phase 3, we really don't draw
12 this hard line between what's a phase 2 trial and
13 what's a phase 3 trial. If we have an adequate and
14 well-controlled trial and like the programs
15 presented, and that's the only trial, then that
16 would be good enough if the trial was successful.

17 I think your point was more can a smaller
18 phase 2 program be done to get some idea of what
19 the treatment might be. Is that what you were
20 trying to get to?

21 DR. SHYR: What I say to phase 2 means, I
22 should clear [indiscernible], it's non-randomized,

1 single arm. Okay? Single-arm study with multiple
2 single-arm study, with more pure study population,
3 was this ever discussed instead of traditional?

4 You do some non-inferiority or superiority;
5 those are all randomized. Right? Have you ever
6 discussed non-randomized phase 2, but serve as kind
7 of surrogate for the panel for the approval
8 discussion, for the conditional approval, and
9 things like that?

10 DR. NAMBIAR: So certainly, conditional
11 approval is not particularly a mechanism that we
12 have. I mean, it's a mechanism that's available
13 outside the United States.

14 Maybe Ed can comment, but I don't think
15 we've considered the use of non-comparative data as
16 a surrogate. So are you referring to it as using
17 an intermediate clinical endpoint? Because we
18 haven't really considered that as a surrogate as a
19 basis for approval.

20 DR. SHYR: I just want clear, no discussion
21 about this non-randomized to prove the drug based
22 on non-randomized studies.

1 DR. BADEN: But Dr. Shyr, are you thinking
2 then of, let's say, historically controlled, where
3 you know that 100 percent of individuals with this
4 condition die?

5 DR. SHYR: Correct. Exactly.

6 DR. BADEN: Therefore, would a case series
7 be meaningful enough clinical data?

8 DR. SHYR: Exactly.

9 DR. COX: So there are circumstances where
10 you can use historically controlled data. And when
11 you've got a universally bad outcome, and that
12 doesn't change depending upon who gets in the
13 trial, then you can make valid inferences.

14 One of the issues that we oftentimes face
15 with serious acute bacterial diseases is that the
16 patients that get into the trial do better, and
17 sometimes that's for reasons that we can't measure.

18 We've looked at some of the community-
19 acquired pneumonia studies that have occurred in
20 the past, not the highest mortality condition among
21 serious acute bacterial diseases, particularly what
22 we see in clinical trials. But when we use the

1 PORT score, which is a validated measure of
2 mortality or predictor of mortality, when we apply
3 that to patients in CAP trials -- and we've seen
4 this over and over -- the mortality rate is cut in
5 half compared to what PORT would predict.

6 So there's something going on in the
7 clinical trials, and who gets in and how they're
8 treated, that makes their mortalities generally
9 appear better than the overall populations.

10 So you can use historically controlled data,
11 but it has to be in the right circumstance. And
12 oftentimes it's challenging with serious acute
13 bacterial diseases to get to a patient population
14 where you can make those comparisons.

15 If you can scientifically support that, then
16 it could be doable. But oftentimes, we're dealing
17 with variability in patient mortality depending
18 upon who gets in any particular trial, which makes
19 it challenging. And that's why having some data
20 from randomized comparator patients can be very
21 helpful and very informative. And even if that
22 randomization is disproportionate, to have some

1 patients in a randomized comparator arm can really
2 be helpful in these types of diseases.

3 DR. BADEN: Dr. Goetz, do you have a
4 follow-on question?

5 DR. GOETZ: Well, I just wanted to clarify
6 as to whether historical data can be used as part
7 of the package for approval. I think I heard you
8 say yes in that statement, but I just wanted to be
9 certain that I understood -- or maybe the better
10 question is, in what context, if any, could
11 historical data or data comparing outcomes in a
12 single open-label study be used to comparison of
13 outcomes, say, in a registry of some sort.

14 DR. COX: Right. I don't know that it's a
15 precise yes or no, but I think it really is a
16 question of are the conditions appropriate for the
17 particular disease that you're studying as to
18 whether the historically controlled data, the data
19 from historical control, are in fact a comparable
20 group to those that you have in the trial, so that
21 you can make valid conclusions.

22 So really, I think it depends upon the

1 circumstances of the trial as to whether you can
2 achieve that or not because with factors measured
3 and unmeasured, impacting upon outcomes, the real
4 question is true comparability.

5 If you have a disease process, and there are
6 some infectious diseases where the outcomes are
7 universally just terrible, and if you see a change
8 from that, then you can conclude there's a drug
9 effect. In other circumstances, the mortality rate
10 that's observed in that particular patient
11 population may be jumping up and down by somewhere
12 between 20 and 30 percent, depending upon the
13 particular patient population in the trial.

14 If the effect of the drug is about 20 to
15 30 percent, you can see that you're bouncing up and
16 down about as much as the drug effect might be,
17 which can then make it very hard to draw
18 conclusions of drug efficacy.

19 So it's not a precise yes or no, but it
20 really is based upon the conditions of the trial
21 that I think you're actually performing and the
22 disease condition you're studying as to what role

1 those data might play

2 DR. BADEN: Dr. Goetz, you are next on the
3 list. No? Dr. Schaenman?

4 DR. SCHAENMAN: Dr. Schaenman. I'm from
5 UCLA. I wanted to thank Dr. Nambiar for her very
6 detailed presentation, and I have two questions for
7 her on unrelated topics, but both trying to get to
8 a quantification.

9 I think those of us here who are infectious
10 disease clinicians were very comfortable at the
11 individual patient level of dealing with
12 uncertainty, balancing risks and benefits, and
13 coming up with an admittedly often subjective
14 answer for the patient at hand.

15 But I think it's harder for us to think
16 about a clinical trial in a population. And I want
17 to circle back to the kind of thought question that
18 was raised by Dr. Follman from the NIH. I think
19 that those kinds of models are extremely helpful
20 for us here whose strength is more in clinical
21 medicine, to get a sense of what these differences
22 in the non-inferiority percentage changes would

1 have in terms of type 1 error as well as risk of
2 death.

3 I guess I wanted to ask whether the FDA
4 could perhaps help us reconcile the numbers. The
5 difference between 10 and 12.5 percent doesn't seem
6 that large to me, but perhaps I'm not thinking
7 about it in an N of 200 patients, and a way for us
8 to reconcile the numbers that are presented in your
9 slide 16 with the numbers presented in Dr. Isaacs's
10 slide 8, where he was suggesting 20 percent.

11 So I would really like to ask where the FDA
12 could help us, perhaps with a graphic if possible,
13 in being able to really wrap our minds around what
14 is the impact of changing it from 10 to 12.5 to 15
15 to 20 percent, because I feel that I don't really
16 have a very good understanding of what we gain and
17 what we give up.

18 I guess a sidebar is, could those numbers
19 perhaps be different, whether we're going for the
20 limited population pathway versus a more general
21 indication?

22 DR. RUBIN: This is Dan Rubin from FDA.

1 Unfortunately, I don't think we have a graphic
2 readily available that would help with this.
3 Unfortunately, the sample size of a non-inferiority
4 trial is proportional to 1 over the square of the
5 non-inferiority margin, so if you cut it in half,
6 you could be multiplying the sample size by 4.

7 In several of our guidances, or in many of
8 our guidances, we use a 10 percent margin. And if
9 you assume a 20 percent failure rate or 80 percent
10 success rate, that translates into a normal sample
11 size of 337 patients per arm for 90 percent power.

12 If you go to 12.5 percent -- I don't know.
13 Is Joe here with the sample size, 200 something per
14 arm, 250 per arm approximately. And you've seen
15 the sample sizes here for the 20 percent margin;
16 it's considerably less than that.

17 I'm sorry. I don't have a graphic, but
18 hopefully that frames the numbers to some extent.

19 DR. SCHAEENMAN: That is helpful. But what
20 about the risk of, if we're incorrect, like
21 Dr. Follman was suggesting, it'd be help to pair
22 those two numbers together.

1 DR. RUBIN: Right. So a hypothesis of a
2 non-inferiority trial is set up so that if your
3 margin is 10 percent and you're really 10 percent
4 worse than the active control, then you're only
5 going to make the mistake of declaring efficacy
6 2.5 percent of the time.

7 If you raise the margin, then obviously that
8 percentage will increase, and you'll have a greater
9 chance of declaring an inferior drug to be
10 effective.

11 DR. SCHAEENMAN: Thank you. That is helpful.
12 So if I can ask my second unrelated question.
13 We've been focusing a lot on option 1, which does
14 seem to be the most promising option, but I also
15 was interested in option 4, that Dr. Nambiar also
16 presented, regarding the animal models.

17 Again, as a clinician and as an
18 immunologist, I find it a little bit concerning to
19 think about using animal models, no matter how
20 excellent they are, in lieu of more attention to
21 clinical trials, even if it's combining small
22 clinical sets.

1 I think it's difficult for us outside the
2 FDA process to have an idea how many drugs that
3 tested well in animal models did not go on to do
4 well in phase 2 or phase 3 trials, since we're only
5 aware of the ones that were successful, for the
6 most part.

7 So I was wondering whether FDA had any data
8 about how often there is that slip where the animal
9 trials look very promising. But when we're in
10 human beings, as was pointed out by one of the
11 earlier speakers, and have very different innate
12 immunity and not even getting into their adaptive
13 immune system, one can expect in anatomy that the
14 differences are going to be large.

15 But again, I'm just wondering if you could
16 help us quantitate what that difference might be.

17 DR. NAMBIAR: I don't know if I have exact
18 numbers, but I think what our hope is, and what
19 we're really trying to do is, we want to get
20 interpretable clinical trial data. That is our
21 preferred option. And the reason we're having this
22 discussion is we know it's difficult to get the

1 kind of interpretable data we would like. And in
2 that situation, is there a role for other pieces of
3 information that could be used to support the
4 efficacy of the product.

5 I think that's really the question. In an
6 ideal world, we would only want the clinical trial
7 data, but I think here is a situation where that
8 might be difficult to obtain.

9 In terms of animal data not translating into
10 data in humans, I think it does happen. I don't
11 have numbers, but there are a lot of important
12 lessons we have learned. Just over the last 8 or
13 10 years, there have been phase 3 trials for
14 products that have failed, and they failed across
15 an area of indications.

16 In many of them, or at least in most of them
17 I think the hypothesis is that there was an issue
18 with the dose selection. But again, that's all in
19 retrospect. When these trials were designed and
20 the trials were undertaken, the best science was
21 used to come up with the dosing recommendations.

22 So I think there is going to be a difference

1 between what you see in animals and what ends up in
2 humans. The human data will be the best, but there
3 might be a situation where you wouldn't get what
4 you need in humans, and that's why we're having
5 this discussion.

6 So I hope that answers your question. Did
7 you have more? Is there something more I can
8 respond to? Dr. Schaenman, I cannot really see
9 you, so I don't know.

10 (Laughter.)

11 DR. SCHAEINMAN: A ballpark percentage of how
12 often there's --

13 DR. NAMBIAR: I don't think I can give you a
14 number, then I would be giving you wrong
15 information.

16 DR. COX: Maybe just one other comment on
17 that, too, is that the animal models are of various
18 different types, if you will. Some are just
19 looking at levels of activity, where you're
20 reducing colony forming units per gram of tissue.
21 And then others are meant to be more reflective of
22 the human disease condition, where the route of

1 inoculation and other factors, the animal
2 susceptibility to the particular bacteria may
3 change, too.

4 So there's also even a spectrum of animal
5 models and what you would expect to be able to
6 learn from them. And that's part of the equation,
7 too that also makes answering the question somewhat
8 challenging.

9 DR. BADEN: Dr. Weina, a follow-on question?

10 DR. WEINA: I just wanted to comment from my
11 own experience in doing a lot of animal model
12 development for drugs, particularly parasitic
13 drugs. And Dr. Cox is absolutely right. I mean,
14 it depends upon what you're using the model for.
15 I've always focused on the safety side of it.

16 There are all these often quoted numbers in
17 pharmacology that 80 percent of the animal models
18 predict what happens in humans, and 10 percent are
19 wrong from the standpoint that they don't predict
20 the toxicity that you'll see in humans. But then
21 there are other ones that predict toxicity that
22 don't happen in humans.

1 But I can tell you, in practical examples,
2 we always hear about the ones in which the animal
3 model does not predict toxicity that shows up in
4 humans later. But there are also plenty of very
5 good examples in which animal models have predicted
6 horrendous toxicities, and then we find out there
7 are no toxicities in humans. And this is
8 particularly found in anti-malarial drugs in which
9 horrendous toxicities have been predicted and never
10 show up.

11 So the animal models have a lot of flaws in
12 them. They're good most of the time, but it
13 depends upon how much risk you're willing to
14 accept. And that really becomes the crux of I
15 think what we're discussing here, is how much risk
16 are we willing to accept, and how much do we want
17 to hide behind the shield of an FDA approval,
18 saying these guys said it was okay, so you can't
19 yell at me for using it.

20 DR. BADEN: Dr. Weina, just to follow on to
21 your follow-on, is it fair to say that the animal
22 model is dependent upon so many factors, the

1 species, the organism, the inoculum, the route of
2 infection, endpoints that are chosen as being
3 meaningful on the efficacy side, not the safety
4 side?

5 DR. WEINA: The efficacy side is even
6 broader as far as the variability that you'll see
7 than even what you'll see in the safety side of it.
8 And I think that, efficacy-wide, there are so many
9 unknown unknowns that there's absolutely no way of
10 giving it an actual number.

11 DR. BADEN: My favorite are the unknown
12 unknowns.

13 Green, did you have a follow-on?

14 Dr. Moore, a follow-on?

15 DR. MOORE: Yes. I was just going to say
16 that there have been two excellent animal models
17 for the approval of levofloxacin for children who
18 have pneumonic plague and then also for the
19 anti-toxin, the monoclonal antibody against the PA
20 toxin for anthrax.

21 In both situations, this panel in previous
22 sessions approved the recommended approval based on

1 the animal data. And so far, the drug has
2 performed excellently because it's never been used.

3 (Laughter.)

4 DR. BADEN: Another follow-on or did you
5 have a follow-on, Dr. Clark?

6 DR. CLARK: I was just wondering if there
7 was a non-human primate model for either
8 Pseudomonas or Acinetobacter, and if not, if it was
9 just a cost issue, or if you would consider that as
10 a possibility. Thank you.

11 DR. YASINSKAYA: This is Yuliya Yasinskaya.
12 So at the workshop, they did not present any non-
13 human primate data models for Acinetobacter and
14 Pseudomonas. However, in the discussion, as you
15 had pointed out, the cost of the model had been
16 brought up.

17 Even with the rabbit model for Pseudomonas
18 pneumonia and pig-model Pseudomonas pneumonia,
19 where it seems like pathogenesis of the disease was
20 similar to that of humans, the cost was a driving
21 factor. And therefore these models will be
22 reserved for the efficacy trials that possibly use

1 the Animal Rule. So yes, but the non-human primate
2 data had not been presented.

3 DR. BADEN: Then moving down our list,
4 Dr. Daskalakis?

5 DR. DASKALAKIS: I have two questions, one
6 for Dr. Perl from the IDSA if she's available. The
7 question really has to do about, I see there are a
8 lot of clinicians who are a part of IDSA's --

9 DR. BADEN: You can, Dr. Perl, come to a mic
10 and, if we can, activate the mic, please.

11 DR. DASKALAKIS: I think one of the
12 questions that's coming up in the committee is how
13 would people use these drugs, and would they be
14 willing to use these drugs.

15 So a clarifying question really is, has the
16 IDSA done any survey of their front-line clinicians
17 about the potential use of agents like this or
18 their interest in using agents, which may have a
19 lower level of evidence as compared to other agents
20 they may be used to utilizing.

21 DR. PERL: So let me take the second
22 question first. I'm going to try to get help. Has

1 the IDSA done a survey? So no. There's not an
2 official survey, but they've done anecdotal work.
3 I actually have seen some surveys go through EIN.
4 I think it's mostly EIN, I've seen. And I've seen
5 things posted on the message boards.

6 Then your first question, if you could,
7 repeat it.

8 DR. DASKALAKIS: I think that was it. Has
9 there been a survey done about willingness to use
10 such agents? I think you've answered both.

11 But my second question is not for IDSA.
12 It's for, I think, the FDA, which is a completely
13 remedial question, which is, could someone teach us
14 what a hollow fiber cartridge does for PK/PD
15 evaluations? If the answer is none of my business,
16 I understand.

17 DR. BADEN: Dr. Isaacs or Dr. Cox?

18 DR. ISAACS: I can give you a layman's point
19 of view on this. I mean, we spent a lot of time
20 using in vivo particularly neutropenic mice models
21 and in vitro hollow fiber models to look at PK/PD.
22 And essentially what the in vitro hollow-fiber

1 system allows you to do is it allows you to control
2 the concentration of the bacteria, the
3 concentration of the bug in an extended
4 circumstance that mimics the PK that one would
5 expect to see in the clinical setting.

6 So you can modulate the PK, you can modulate
7 the frequency of dosing, and you can modulate the
8 dose interval in a setting of controlling the
9 bacterial load, and by monitoring response and
10 bacterial load to those changes, you can then
11 identify the most appropriate pharmacodynamic
12 parameters.

13 Then you end up with those tables, which are
14 figures that everybody's seen of Cmax, time over
15 AUC, AUC over Cmax, and your various things. And
16 you get various data points when you draw the
17 curves, and the one that looks best is where you're
18 going. That's a layman's point of view of this.

19 DR. DASKALAKIS: A quick follow-up on that
20 is, does the system then also account for volume?
21 Is there a way to control for volume and
22 distribution estimate or is it just a bloodstream-

1 esque? Does it look at different tissue
2 environment, simulate different tissue
3 environments?

4 DR. ISAACS: I'm not sure I can really
5 answer that question. My expertise does not extend
6 that far, though maybe somebody with more
7 experience can do that.

8 DR. BADEN: Dr. Rex?

9 DR. REX: My name is John Rex. I'm a board
10 certified internist, ID specialist, and do a
11 variety of other things around the pond. Hollow
12 fiber refers to an idea.

13 Imagine two chambers. Chamber A has some
14 fluid and some bacteria in it, and chamber B wraps
15 around it in some way, and they are separated by a
16 semi-permeable membrane. So you could fiddle with
17 what's in B and it will diffuse in and out of A.
18 So there are no white cells. It's completely
19 abnormal in terms of immune system.

20 Volume distribution doesn't really have
21 meaning because that's the volume right there. So
22 I could measure the volume distribution in a human

1 being that I'm going to get, and I could decide
2 whether I believe I'm going to get amount X at some
3 body site. But in the hollow fiber itself, it's
4 raw drug, nutrients for the bacteria, and things
5 are just swimming around, and it's a dialysis --

6 DR. BADEN: Dr. Rex, I was informed that, as
7 a non-formally invited speaker, we must curtail
8 your remarks.

9 DR. REX: That was it. That's just plain
10 hollow fiber.

11 DR. BADEN: Thank you.

12 Are there any other members of the panel who
13 would like to clarify that point about the hollow
14 fiber? If not --

15 DR. MOORE: I would be happy to reiterate
16 what Dr. Rex said.

17 (Laughter.)

18 DR. BADEN: We thank you for that
19 clarification.

20 The next question, one question that I'll
21 ask just to Dr. Nambiar is a clarifying question.

22 You mentioned in your presentation about 300

1 needed for safety as a ballpark. I was interested
2 in understanding the genesis of that number and
3 what aspects of it we need to reflect on because I
4 think safety is part of the equation that has to be
5 weighed as we think about the efficacy.

6 DR. NAMBIAR: Sure. So the number 300 comes
7 from the rule of 3. So that is, if you don't find
8 an adverse event in the 300, then I think there's a
9 95 percent probability that the frequency of
10 occurrence of that AE is less than 1 percent.

11 Is that correct, Dan?

12 DR. BADEN: So for the 1 in 100. So it's
13 looking for 1 in 100 event. You're using 300.

14 DR. NAMBIAR: So that's just a rough
15 estimate. Again, we are not bound to it. So if we
16 have signals in the non-clinical safety studies
17 that suggest that 300 might be too little, we can
18 certainly ask for more. Or if there is a drug that
19 looks pretty bland in non-clinical studies, and we
20 think that the product offers a meaningful benefit,
21 and the number ends up being a little short of 300,
22 I think that's another consideration that we will

1 have to make.

2 So it's essentially a guide, but it's not an
3 absolute number. I just want to make sure that's
4 clear.

5 DR. BADEN: I thought that was the genesis,
6 but wanted to confirm. A follow-on question from
7 Dr. Lo Re?

8 DR. LO RE: Yes. So most of what we have
9 been talking about really has focused on efficacy
10 so far. And many of the strategies have focused on
11 efficacy. And I understand that we're talking 40
12 to 80 percent mortality here. But I am sensitive
13 to the fact that there is the possibility certainly
14 of toxicities, and we've certainly seen people be
15 cured, and they're left with residual adverse
16 effects.

17 So now we're talking about animal models,
18 PK/PD studies, and I just want to try to get a
19 sense to follow up on what Dr. Baden was saying.

20 So if we're going to use some menu approach
21 of different strategies for determining efficacy,
22 what is the agency's thinking in terms of the

1 number of patients who will be needed to determine
2 some initial safety requirement? And what is the
3 thinking in terms of going forward for
4 postmarketing if most of the studies are done in
5 animal models, just to give you some sense?

6 DR. NAMBIAR: So there are two things. So
7 we talked about animal models for
8 activity/efficacy, and then there's also non-
9 clinical assessment of safety. So they are two
10 different topics.

11 So if you're only relying at the end of the
12 day on animal models for efficacy, we need safety
13 data in humans. So safety data will not come from
14 animals. So the 300-patient discussion that we're
15 having is 300 patients exposed to the drug at the
16 dose and duration that they propose to take
17 forward.

18 So really, the safety information is not
19 coming just from animals. So we will have a
20 limited human clinical safety database. I think as
21 I mentioned in one of my slides, these might be
22 products where there might be a requirement for

1 additional safety data collection postmarketing.

2 So if there is a signal -- we saw a signal
3 in non-clinical studies, but the safety database
4 was so small that you really didn't see the signal
5 in humans -- there might be postmarketing
6 requirements, or there might be a requirement for
7 enhanced pharmacovigilance. There might be
8 registries.

9 So I think that is certainly part of the
10 discussion. And I certainly don't want to minimize
11 safety, even though the focus of a lot of our
12 discussions have been around the efficacy, but I
13 don't want us or anyone to lose sight of safety.
14 It's certainly an important component of the
15 overall discussion.

16 DR. LO RE: Just to follow up, so the
17 thinking would be that if the majority of studies
18 that were looking at efficacy were focus on
19 animals, there would be some requirement for
20 separate either concomitant observational studies
21 or postmarketing studies after the fact?

22 DR. NAMBIAR: Right. So I think there would

1 be a requirement actually for pre-market safety.
2 So we have to get safety information on patients
3 before we approve the product. The size of that
4 safety data as pre-market may be very small, and
5 that's the 300 number we are talking about.

6 But again, given what we know about the drug
7 either from non-clinical studies or if it's a
8 member of a class we've seen before, then we make
9 decisions about what more data might need to be
10 collected postmarketing. If it's a brand new class
11 and we really have no prior information, I think
12 our safety knowledge will be very, very limited.

13 So I'm not going to go into the Animal Rule,
14 per se, but even for products that were approved
15 under the animal rules, so products that were
16 approved for plague or anthrax that have been
17 mentioned, there was safety data generated in
18 humans.

19 For products like the quinolones, which were
20 approved for plague, you already had a lot of
21 safety information because it was used in humans,
22 but for the monoclonal antibodies, there was

1 actually safety data in healthy volunteers. You
2 don't get patients in that instance.

3 DR. BADEN: Dr. Weina, you have a follow-on
4 question?

5 DR. WEINA: Just a real quick clarification
6 on this entire discussion. And that is that you're
7 parsing out what are we talking about for efficacy
8 and what are we talking about for safety. But in
9 reality, what we're talking about is the risk
10 versus the benefit.

11 So you need both of them at the same time to
12 really make a decision because every time we make a
13 decision on whether we're going to use a drug in a
14 patient or whether the FDA is going to approve a
15 drug, it's the balance of risk versus benefit.

16 So it's the balance of the safety versus
17 efficacy that's really critically important here.
18 So we can't really parse them out and consider them
19 separately. I just wanted to clarify that.

20 DR. NAMBIAR: I agree. And I don't think we
21 are looking at vacuum in efficacy. It's vacuum in
22 the context of the safety profile for that product

1 in the small dataset that we have.

2 DR. BADEN: Dr. Clark, did you have another
3 follow-on question?

4 DR. CLARK: So could the safety data be
5 predominantly in healthy volunteers or would it
6 have to be in people who would be likely to get the
7 drug?

8 DR. NAMBIAR: So it would be a bit of both.
9 You will have safety data in healthy volunteers,
10 but the healthy volunteer data often tends to be
11 single dose. Sometimes you will have some multiple
12 dose.

13 So that's one component of the overall
14 safety database, but certainly we are looking for
15 safety database in patients with the disease of
16 interest at the dose and duration, because
17 sometimes the healthy volunteer studies may not go
18 out to the entire duration. And so it is at the
19 dose and duration in the patient population with
20 the disease of interest.

21 DR. COX: Maybe just one more comment on
22 this, too. Within the limited clinical trial, we

1 should be able to gather safety data, and we should
2 be able to understand what's going on with the
3 safety data if the trial is appropriately designed.

4 There are still considerable challenges
5 because of the use of pre-study therapy and
6 concomitant therapy that may particularly cloud the
7 evaluation of efficacy. But we should be able to
8 gather interpretable safety data from a comparative
9 trial that will allow us to understand the safety
10 in patients who are sick who are getting the drug
11 at dose and duration.

12 DR. BADEN: Dr. Cox, I agree, but to some
13 degree, if there's a 40 percent or 50 percent
14 mortality, of course safety signals will be able to
15 be seen. Nuance safety signals will take much
16 broader use.

17 DR. COX: Right. And it just brings us back
18 to really that benefit and risk are the two things
19 that we weigh because depending upon the safety
20 profile, the drug will be weighing it against what
21 we see with regards to efficacy and how these
22 things all balance out.

1 DR. BADEN: Another follow-on?

2 DR. HILTON: I wonder if the use of
3 all-cause mortality as the primary outcome is an
4 attempt to get at safety and efficacy
5 simultaneously.

6 DR. COX: Yes. There is a point where, if
7 you're looking at all-cause mortality, and in
8 essence, safety and efficacy start to emerge, in a
9 serious disease condition where there is a
10 significant mortality rate, that may be what you're
11 trying to affect. That may be the efficacy goal,
12 reduce mortality, have more patients survive the
13 condition.

14 That is also one of the ultimate safety
15 parameters to look at, too. So if you're showing a
16 marked reduction in mortality in a patient
17 population for a disease that has a high mortality
18 rate, then you've shown a beneficial effect of the
19 drug and, in essence, very well also addressed the
20 safety question, which is you can prevent patients
21 from dying, so they start to come together.

22 DR. HILTON: Yes. Earlier today, a panelist

1 talked about a patient who was cured, but then died
2 of renal failure, so it made me think of it in this
3 context.

4 DR. BADEN: Dr. Marks, you had a follow-on
5 question?

6 DR. MARKS: Yes, maybe just a comment on
7 linking the previous discussion. And from a
8 sponsor point of view, oftentimes, we talked about
9 earlier these open-label, early phase 2 designs.
10 One of the downsides of that is the inability to
11 have a balance in the safety control arm. So
12 whatever adverse events happen in that uncontrolled
13 setting are attributable to the compound. And when
14 you're talking about very small numbers, that's
15 oftentimes why sponsors are reluctant to want to
16 embrace those approaches.

17 DR. COX: Yes. And that's a very important
18 point. Having looked at data from patients who
19 have HAPB/VAPB, there is a rate of background
20 events that occurs. And without an appropriate
21 comparator arm, it can be very difficult to try and
22 sort out whether that's background or whether

1 that's related to the drug. Having the comparator
2 arm can be exceedingly valuable for understanding
3 the safety of a drug. Agreed.

4 DR. BADEN: Ighov?

5 DR. OFOTOKUN: In the previous discussions
6 of the previous workshop, I was wondering, in terms
7 of the effectiveness or efficacy of the drug, non-
8 inferiority margin, if FDA have considered other
9 surrogate endpoint besides the harder endpoint of
10 all-cause mortality.

11 DR. NAMBIAR: I can start, and then maybe Ed
12 will add to it. So in going back a few years,
13 we've had a lot of discussions, so maybe starting
14 in 2007, 2008 is when we started having discussions
15 around non-inferiority trial designs for
16 antibacterial drugs.

17 Prior to that, I think definitions for some
18 of our clinical response endpoints were probably
19 less than optimal, and the non-inferiority margins
20 were not really scientifically justified.

21 So then we've gone back and we have to go
22 through indication by indication to decide what is

1 the appropriate endpoint, which endpoint can we
2 have an adequate justification for the non-
3 inferiority margin.

4 So specifically for HAPB/VAPB, all-cause
5 mortality is the endpoint if one is pursuing a non-
6 inferiority trial. That is the endpoint for which
7 we an adequate justification of the margin.

8 There has been interest in using an endpoint
9 of clinical response, which is what used to be used
10 traditionally, but we have not been able to find
11 data to justify an NI margin for a clinical
12 response endpoint. Superiority trials might be a
13 little bit different. We have a little more
14 flexibility.

15 At the end of the day, the endpoint has to
16 be clinically meaningful. It has to be reliable.
17 So our current recommendation for HAPB/VAPB trials
18 is to use a 28-day all-cause mortality as an
19 endpoint, and trials are underway that are in fact
20 using this endpoint. There's at least one recently
21 completed trial, which was conducted successfully
22 and was able to demonstrate a treatment benefit for

1 a mortality endpoint.

2 DR. BADEN: Dr. Bennett, did you have a
3 follow-on question?

4 DR. BENNETT: Yes. Dr. Bennett. I have a
5 question for Dr. Cox. I wonder how effective
6 postmarketing surveillance has been in removing
7 drugs from the approved list because of toxicity
8 found by FDA-required postmarketing surveillance.

9 I say that because I can think of a variety
10 of drugs that industry has removed, but I don't
11 know if the FDA's requirement has actually resulted
12 in withdrawal of approval.

13 DR. COX: All right. So let me just try and
14 talk through this. Yes. Reflecting on experiences
15 in the antibacterial space, we have over the years
16 had the occasional drug that has had toxicities
17 that were detected postmarketing or the severity
18 was better appreciated once the drug got out and
19 used.

20 The types of toxicities that would be
21 discernible in that setting are things that would
22 happen that are things unexpected, liver failure

1 being a prime example. It's something that either
2 based on histologic examination or in a setting
3 where a patient has a fatal liver failure event,
4 there may be evidence that says that the drug is
5 likely the cause of the patient's liver failure.

6 So that has happened infrequently over the
7 years, both from the standpoint of a drug being
8 removed from the market or increased safety
9 labeling happening in the case of a drug where
10 there was increased appreciation of hepatic adverse
11 effects once the drug was marketed.

12 I think, Dr. Bennett, the heart of your
13 question is, when this happens, how does it
14 actually work. And oftentimes, in essence the
15 safety data are out there. In some instances,
16 there's a public discussion. And usually the
17 decision to withdraw the drug is after discussions
18 with the FDA and the company withdraws the drug.

19 It's a less frequent occurrence that the FDA
20 is actually the one that goes through the whole
21 process of withdrawing a drug because, usually,
22 once the data are out there, the company and the

1 FDA appreciate the nature of the problem, and it's
2 usually more expeditious that the company would
3 then take an action on the drug.

4 Did I get your question correct? Is that
5 what you were getting at?

6 DR. BENNETT: Yes. If we were relying on
7 postmarketing surveillance, I just wasn't sure the
8 FDA had the facilities to follow these. Even
9 though you require the postmarketing surveillance,
10 I just wasn't sure how effective that surveillance
11 was. So if it's not very effective, then we
12 shouldn't rely on it.

13 DR. COX: But the postmarketing adverse
14 events, for certain events it can help understand
15 what's going on. There's underreporting in the
16 setting of things that are occurring out there in
17 the real world.

18 Just one other thing to think about, there
19 are other ways. You can do registries and things
20 that are a little more formal that may allow for a
21 greater detection of things in the postmarketing
22 setting, adverse events in the postmarketing

1 setting, or safety problems in the postmarketing
2 setting than just spontaneous adverse event
3 reports.

4 So there are some other ways, but it can be
5 particularly challenging in a patient population if
6 the adverse effect is one that's also an event that
7 could be associated with an underlying serious
8 disease.

9 A patient in the ICU with HAPB/VAPB, if the
10 patient expires from some event, is that drug
11 related? Is that part of the disease condition? A
12 comparative trial can be tremendously powerful in
13 helping to sort that out. Trying to figure that
14 out in the setting of just what's going on out
15 there in an ICU can be particularly challenging.

16 DR. BADEN: We have made it to the noon
17 hour. I think Dr. Weina and Dr. Green had
18 follow-on questions. I ask that they be short so
19 we can stay on schedule.

20 DR. WEINA: Pete Weina. So the related
21 question to what Dr. Bennett just asked about, I'd
22 be interested in hearing the FDA's perspective on.

1 And that is that I guess I'm less concerned about
2 the FDA necessarily pulling something out because
3 what typically ends up happening is that a drug
4 that has a very high liability is going to end up
5 being used less and usually more critically needed.
6 But because it's not making a profit anymore, the
7 company stops making it. And now it's not
8 available, even though it's more critically needed.

9 Drugs that have a very low liability and are
10 used more broadly and possibly less critically
11 needed actually are more profitable, so they keep
12 staying on the market. And maybe that's a very
13 cynical way of looking at things, but it's the
14 reality of life.

15 So I'm just trying to apply that to the
16 question we're asking here. If we have a drug that
17 has a very, very narrow spectrum of use, either
18 it's going to be incredibly expensive to be able to
19 make a profit or they're just going to end up
20 having it until it's no longer profitable and then
21 stop making it, and now we really need the drug.

22 Is there a way that you can continue to make

1 this available if a drug is approved?

2 DR. COX: Drug availability is the decision
3 of the drug maker and their decision to continue to
4 market the product. Not a topic for today's
5 discussion, but other groups and other
6 organizations are having discussions about these
7 issues. So not within our scope today, but there
8 are discussions, Chatham House and other places,
9 where people have talked about various different
10 models for reimbursement and such, but not within
11 the scope of what we're talking about today.

12 DR. BADEN: Dr. Green, your follow-on
13 question?

14 (No response.)

15 DR. BADEN: We have now made it to noon. As
16 you can see, it's a complex issue that impacts all
17 of us, and there are many strong views and many
18 angles. There are many more questions from
19 committee members, which we will resume at 2:00.

20 Well, we're going to resume at 1:00 for the
21 open public hearing. When the open public hearing
22 has completed its phase, we will then resume the

1 questions or clarifying issues for the presenters.
2 And it will just depend on how long those different
3 segments take.

4 So we'll now break for lunch. We'll
5 reconvene again in this room at 1:00 p.m. Please
6 take any personal belongings you may want with you
7 at this time. Committee members, please remember
8 that there should be no discussion of the meeting
9 during lunch amongst yourselves, with the press, or
10 with any member of the audience. Thank you. See
11 you all at 1:00 sharp.

12 (Whereupon, at 12:06 p.m., a lunch recess
13 was taken.)

14
15
16
17
18
19
20
21
22

A F T E R N O O N S E S S I O N

(1:04 p.m.)

Open Public Hearing

DR. BADEN: So we should resume the meeting. I would like to, just for the panel members, review the next three or four hours and the agenda.

have the open public hearing element, where there are four commenters. We have to continue the discussion that we stopped right before lunch, clarifying many of the issues raised. We have the charge to the committee with the questions, which we will then continue discussion on, and then we will formally discuss each question, where after the committee discussion -- normally, we have votes, but since we have no votes, we'll go around and have each person on the committee share their integrative thoughts as to how to advise the agency on the substance of the two questions, so that we can give them the best possible feedback.

That way, you all can think a little bit about how to integrate the issues you've heard and provide advice and guidance to the agency.

1 So we will move now to the open public
2 hearing element.

3 Both the Food and Drug Administration and
4 the public believe in a transparent process for
5 information-gathering and decision-making. To
6 ensure such transparency at the open public hearing
7 session of the advisory committee meeting, FDA
8 believes that it is important to understand the
9 context of an individual's presentation.

10 For this reason, FDA encourages you, the
11 open public hearing speaker, at the beginning of
12 your written or oral statement, to advise the
13 committee of any financial relationship you may
14 have with the industry, its product, and if known,
15 its direct competitors.

16 For example, this financial information may
17 include the industry's payment of your travel,
18 lodging, or other expenses in connection with your
19 attendance of the meeting. Likewise, FDA
20 encourages you, at the beginning of your statement,
21 to advise the committee if you do not have any
22 financial relationships. If you choose not to

1 address this issue of financial relationships at
2 the beginning of your statement, it will not
3 preclude you from speaking.

4 The FDA and this committee place great
5 importance in the open public hearing process. The
6 insights and comments provided can help the agency
7 and this committee in their consideration of the
8 issues before them.

9 That said, in many instances and for many
10 topics, there will be a variety of opinions. One
11 of our goals today is for the open public hearing
12 to be conducted in a fair and open way, where every
13 participant is listened to carefully and treated
14 with dignity, courtesy, and respect. Therefore,
15 please speak only when recognized by the
16 chairperson. Thank you for your cooperation.

17 Will speaker number 1 step up to the podium
18 and introduce yourself? Please state your name and
19 any organization you are representing for the
20 record.

21 DR. FOX-RAWLINGS: Thank you for the
22 opportunity to speak today. My name is

1 Dr. Stephanie Fox-Rawlings from the National Center
2 for Health Research. Our research center analyzes
3 scientific and medical data to provide objective
4 health information to patients, providers, and
5 policymakers. We do not accept funding from the
6 drug or medical device agencies, so I have no
7 conflicts of interest.

8 We appreciate the FDA and drug sponsors
9 working to determine appropriate methods for
10 testing new drugs for rare bacteria. Even though
11 good quality superiority trials are challenging, we
12 should not lower the standards for trials and data.
13 Well-designed trials are needed to make sure a new
14 drug actually helps patients.

15 Fortunately, when a drug is highly
16 effective, the trial doesn't need to be large to
17 show a significant improvement. For example, a
18 company called Achaogen recently reported a
19 statistically significant 28 percent reduction in
20 death even though they enrolled only 17 patients in
21 the test group and 20 in the control group.

22 The goal in developing new antibiotics is to

1 make sure they actually improve the health of
2 patients for the targeted infections compared to
3 drugs that are already available. It is dangerous
4 to approve new drugs that are not as safe and
5 effective as the antibiotics already on the market
6 or drugs that are not studied on patients with the
7 targeted bacteria.

8 Non-inferiority trials for antibiotics are
9 resulting in the approval of numerous drugs that
10 may be less effective than previously approved
11 antibiotics. It is not ethical to give these drugs
12 to patients that have more effective options.

13 After several rounds of comparing new drugs
14 to somewhat older drugs that were slightly less
15 effective than previously approved drugs, we can
16 end up with new antibiotics that are much less
17 effective than the best available. This is more
18 likely when clinical trials use larger non-
19 inferiority margins or margins equal to the
20 estimated treatment effect. Wider non-inferiority
21 margins increase the likelihood that the new drug
22 is less effective than the approved drug.

1 The development of rapid diagnostics would
2 help. In many cases, researchers lack the tools to
3 quickly diagnose patients with target bacteria.
4 This means that studies are conducted on many
5 patients who do not have the targeted microbe.

6 In some cases, most patients don't have the
7 targeted microbe. This increases the number of
8 patients required in the trial and makes the trial
9 outcome more difficult to interpret.

10 Healthcare practitioners run into similar
11 problems when they decide which antibiotic to
12 prescribe a patient. This trial and error exposes
13 patients to increased risk of adverse events from
14 multiple drugs while delaying appropriate
15 treatment.

16 In contrast, the development of rapid
17 diagnostics would help researchers study the
18 appropriate population and healthcare practitioners
19 just prescribe the drug that is most likely to
20 help.

21 Unfortunately, the limited population
22 pathway of the 21st Century Cures Act was written

1 in a way that could easily increase the number of
2 antibiotics that do not benefit patients. This
3 exasperates what is already a problem.

4 Of the 61 new antibiotics approved between
5 1980 and 2009, 43 percent were later withdrawn in
6 due part to safety or for efficacy reasons. This
7 rate was about three times as often as other drugs
8 from the same period. Unfortunately, smaller
9 clinical trials for limited populations could make
10 this worse because it would increase the risk that
11 results are due to chance rather than proven.

12 As you know, once approved, the new drugs
13 are often promoted and prescribed for a much wider
14 population than was targeted. This can expose
15 patients to unnecessary risks, lower effectiveness,
16 and generate resistant bacteria. Simply labeling a
17 drug as limited population is unlikely to be
18 sufficient to limit a drug's use to appropriate
19 populations.

20 Developing antibiotics for single-bacteria
21 species that infrequently cause infections is
22 difficult. We as a society and as patients want

1 these treatments. However, having effective drugs
2 marketed for these specific bacterial species does
3 not help patients and may harm them in addition to
4 contributing to healthcare costs, which are already
5 higher in the U.S. than other countries where
6 people live years longer.

7 If the FDA wants to be more patient
8 centered, it needs to ensure the new antibiotics
9 actually work for the intended populations before
10 they are approved. New drugs should be
11 scientifically tested on patients who know that
12 they are participating in a well-designed clinical
13 trial that contributes to knowledge, not by
14 patients who think they are receiving a proven
15 treatment.

16 Thank you for your time and consideration of
17 our views.

18 DR. BADEN: Thank you. Will speaker
19 number 2 step up to the podium and introduce
20 yourself? Please state your name and any
21 organization you are representing for the record.

22 MR. BRODINE: Good afternoon. My name is

1 Joe Brodine, and I'm a graduating medical student
2 at Georgetown University School of Medicine. I'm
3 here representing the National Physicians Alliance
4 FDA Task Force, and I have no conflicts of interest
5 to report.

6 NPA is a nationwide multi-specialty group of
7 doctors with principles of integrity, service, and
8 advocacy that put our patients first. We are
9 non-profit and take no funds from pharmaceutical or
10 medical device companies.

11 Within NPA, the FDA task force supports a
12 strong FDA dedicated --

13 DR. BADEN: You have the slide advancer.

14 MR. BRODINE: How convenient. Thank you.

15 Within the NPA, the FDA task force supports
16 a strong FDA dedicated to valid science and keeping
17 drugs and devices safe and effective for our
18 patients. We believe FDA approval should be based
19 on adequate and well-controlled clinical trials
20 with solid scientific evidence as required by law
21 and regulation.

22 As practicing clinicians, we recognize that

1 we urgently need rapid diagnostics so that we can
2 appropriately prescribe all antibiotics, including
3 those for patients with resistant pathogens. We
4 also need improved diagnostics in order to enroll
5 patients in trials who might benefit from the new
6 treatments for infectious diseases.

7 Non-inferiority trials are actually a
8 disincentive to developing these diagnostics.
9 Doctors and patients are interested in drugs that
10 have added benefits, especially for patients with
11 unmet needs. In the setting of antibiotic
12 resistance, added benefits means drugs that are
13 more effective than current options when used in
14 the patients who actually need them.

15 My practicing colleagues and I are not
16 interested in prescribing drugs that are only non-
17 inferiority to existing options. Drugs that are as
18 much as 20 percent worse than what we already have
19 are not clinically acceptable. They're actually
20 clinically inferior. This means that superiority
21 trials are a requirement for showing us when a new
22 drug works and in whom it works.

1 Superiority trials in patients with no
2 options are more feasible than non-inferiority
3 trials since they do not require exclusions for
4 prior or concomitant ineffective medications. In
5 fact, trials with highly effective drugs require
6 fewer enrolled patients.

7 Randomized superiority trials where the new
8 drug works similar to penicillin would require only
9 about 2 dozen patients. And the example of
10 murepavadin in the FDA briefing materials is
11 consistent with this effect, as were the claimed
12 results from the CARE trial of plazomicin, where
13 the trial enrolled only 37 patients and showed a
14 28 percent decrease in mortality.

15 There will always be continued unmet need
16 and no single drug can treat all patients, so
17 future superiority trials will remain an option.
18 There is no need for a large safety database when
19 the new drug decreases mortality, as adverse
20 effects may be more acceptable to patients when the
21 drug actually saves lives.

22 Non-inferiority trials are only considered

1 feasible because they enroll patients who are
2 easier to find, but using patients who already have
3 options to treat their life-threatening diseases
4 merely for convenience raises serious ethical
5 issues.

6 No amount of decreased effectiveness is
7 acceptable in life-threatening disease where
8 effective therapy already exists, and it is
9 certainly not acceptable where no effective therapy
10 exists. In fact, exposing patients to less
11 effective drugs violates the basic principles of
12 ethical research. We would not recommend or enroll
13 our patients in such studies, since they will also
14 put patients without unmet medical need in harm's
15 way.

16 Outcomes in short-term acute diseases should
17 directly measure whether patients live longer or
18 live better. Surrogate endpoints are not needed
19 with highly effective drugs when direct patient
20 outcomes can be measured in a short period of time.

21 In conclusion, doctors and patients don't
22 just need more drugs, we need better drugs for

1 infectious diseases, and we need rapid point-of-
2 care diagnostics to be able to both study the drugs
3 in trials and prescribe them properly in practice.
4 Empiricism leads to increased harm, increased cost,
5 and greater antibiotic resistance.

6 As in other therapeutic areas, FDA should
7 insist on scientifically valid, ethical, adequate,
8 and well-controlled superiority trials in patients
9 without other effective options. Selection
10 criteria for enrollment in such trials should be
11 based on appropriate risk-benefit for patients.
12 Trial designs should not deny a patient's existing
13 drugs with known track records by enrolling them in
14 a study that, if successful, would show the
15 experimental therapy to be second best.

16 We should not subscribe to a belief that
17 exposing today's patients to the potential harm of
18 second-best treatments will somehow benefit future
19 patients. As I anticipate my career as a
20 physician, I join my practicing colleagues in NPA
21 in calling for better diagnostics that allow for
22 appropriate antibiotic selection and a more

1 effective approval process for superior new
2 antibiotics.

3 I believe in strong FDA policies that assure
4 me that FDA approved is still a meaningful label.

5 Thank you.

6 DR. BADEN: Thank you. Will speaker
7 number 3 step up to the podium and introduce
8 yourself? Please state your name and any
9 organization you are representing for the record.

10 DR. DOSHI: Good afternoon, everyone. My
11 name is Peter Doshi. As you can see from the title
12 of my talk, I'll be providing what I hope is an
13 easy-to-understand explanation of why non-
14 inferiority trials in the context of serious and
15 life-threatening illness are ethically very
16 questionable.

17 I'm on the faculty at the University of
18 Maryland School of Pharmacy and an associate editor
19 at the BMJ, but my comments today are my own.
20 These are my financial disclosures. I receive no
21 industry funding.

22 I want to begin with a very high-level

1 overview of what non-inferiority trials are and
2 their purpose. By understanding this, I think it
3 is straightforward to understand where they can and
4 cannot be used to address research questions.

5 Non-inferiority trials always involve two
6 active drugs, an experimental drug that is compared
7 with a standard, already-approved treatment that is
8 known to be effective. The aim of these trials is
9 not to demonstrate improved efficacy. That would
10 be a superiority trial.

11 Rather, the aim of non-inferiority trials as
12 described consistently in the literature -- you can
13 see a quote I've put up on the screen from a major
14 textbook -- is to investigate experimental drugs
15 that may have no improved efficacy over standard
16 therapies, but may still, quote, "be of interest
17 because they are less toxic, less invasive, less
18 costly, require fewer doses, improve quality of
19 life, or have some other value to patients."

20 So the concept here is that patients are
21 trading off some loss of efficacy versus standard
22 therapies in exchange for some clinically

1 acceptable non-efficacy benefit such as fewer side
2 effects.

3 One can imagine a patient with an
4 uncomplicated urinary tract infection who might be
5 interested in using an antibiotic known to take a
6 bit longer than other antibiotics to clear the
7 patient's infection, but was at the same time also
8 known to have less side effects like nausea,
9 diarrhea or rash compared with those other
10 therapies. That's the trade-off that non-
11 inferiority trials can hypothesize and then
12 evaluate.

13 Within the context of unmet medical need,
14 non-inferiority trials make no sense for the simple
15 reason that non-inferiority trials require a
16 control drug that is standard approved effective
17 treatment. With unmet medical need, there is no
18 such drug, and so one cannot use a non-inferiority
19 trial. This is a showstopper issue for patients.
20 The only way to address unmet medical need is with
21 a superiority trial.

22 Now, I want to address trials in serious or

1 life-threatening conditions. I think we can all
2 agree that an experimental drug aiming to treat a
3 serious or life-threatening condition should
4 demonstrate that it actually does save lives.

5 Are non-inferiority trials ethical in this
6 context? One way to look at this is to ask, would
7 informed patients agree to participate in such a
8 trial? Because non-inferiority trials involve a
9 trade-off of efficacy and non-efficacy benefits,
10 one has to ask whether they think patients would be
11 willing to accept the potential of increased risk
12 of death versus a standard therapy in exchange for
13 potential non-efficacy benefits like the
14 experimental drug being less invasive or providing
15 reduced side effects.

16 I would wager that it's the reverse that's
17 true. If an effective drug exists, patients would
18 want an experimental therapy to demonstrate
19 improved chance of survival even if the drug had
20 more side effects. Just think of oncology.

21 For this reason, it is a superiority trial
22 that is required in the setting of serious and

1 life-threatening illness. Non-inferiority trials
2 are the exact opposite of patient expectations.
3 And European Medicines Agency -- you can see the
4 bottom of my slide there, a quote -- agrees with
5 me, that "it's very hard to justify non-inferiority
6 studies in the context of serious disease."

7 Non-inferiority trial trials in serious and
8 life-threatening conditions are also contrary to
9 foundational ethical documents. The Belmont report
10 requires three things, respect for persons,
11 beneficence, and justice. And non-inferiority
12 trials, as you can read on the slides, fail the
13 test on all three accounts.

14 Likewise, the Declaration of Helsinki says
15 that, "When one uses an intervention less effective
16 than the best proven one," and that is what we are
17 hypothesizing of the experimental therapy with a
18 non-inferiority trial, "then trial participants
19 should not be subjected to additional risks of
20 serious or irreversible harm." But that again is
21 actually what is happening in the context of
22 serious or life-threatening illness with any drug

1 less effective than the standard therapy.

2 We have actually recently completed a study
3 of informed consent forms in antibiotic trials. We
4 wanted to know whether consent forms inform
5 patients that the study purpose is to test a
6 primary hypothesis that the experimental drug may
7 be somewhat less effective than standard already-
8 approved therapy.

9 We looked at 78 RCTs from 17 antibiotics.
10 Around 90 percent of these trials were non-
11 inferiority trials. And I should say, by the way,
12 these are all pre-marketing, pre-licensure
13 industry-funded studies. Ninety percent of the
14 trials were non-inferiority trials, 10 percent were
15 superiority trials.

16 Three-quarters of the trials were in serious
17 or life-threatening disease as per FDA's
18 definition. These trials happened over two decades
19 and enrolled over 39,000 patients.

20 What did we find? We found that all
21 informed consent forms included a section of the
22 consent form talking about study purpose, as you

1 would expect, but that none, zero of 50,
2 consistently explained the trial's primary
3 hypothesis such that patients could tell whether
4 they were enrolling in a superiority versus non-
5 inferiority trial. I believe this raises serious
6 questions of the ethics of these trials.

7 In addition, nearly all, 71 out of 72, of
8 those trials provided no rationale for the
9 selection of non-inferiority hypothesis or
10 potential risk-benefits to potential participants.

11 We looked for those rationales in the
12 protocols and statistical analysis plans, but none
13 was to be found, nor did we find a single trial
14 that offered a rationale of why a given amount of
15 decreased efficacy, the non-inferiority margin,
16 which generally was a 10 percent margin or median
17 10 percent -- we found no rationale for why that
18 margin was deemed clinically acceptable.

19 Although feasibility is important, one
20 cannot place it ahead of scientific validity and
21 minimizing harm to current patients. Many features
22 of non-inferiority trials make them less feasible,

1 including much larger sample size, between 600 and
2 1200 patients, compared to superiority trials,
3 which can be performed with less than 50 patients
4 with highly effective drugs as the first speaker
5 pointed out.

6 Non-inferiority trials also require
7 exclusions based on prior and concomitant
8 administration of effective drugs in order to
9 assure their scientific validity. Scientific
10 validity, we must remember, is the basis for
11 ethical research.

12 The hypothesis of the study, not the
13 results, the hypothesis, is the basis for the
14 ethical determination. Therefore, the hypothesis
15 of non-inferiority trials is the new drug may be
16 somewhat less effective than standard of care in
17 life-threatening illnesses. Non-inferiority trials
18 are too small to tell whether the new drug is
19 substantially better or worse than an older agent.
20 Therefore, they are too small to answer the primary
21 research question, which impacts on trial ethics.

22 I should also mention here that it is in

1 phase 3 clinical trials where hypotheses of patient
2 benefit are tested, not phase 1, not phase 2. And
3 the FDA released a report in January of this year.
4 Of 22 products that looked great based on phase 2
5 results: mechanism of action, modeling studies,
6 phase 2 results using surrogate endpoints. But
7 then those 22 products all failed to show efficacy
8 or had adverse events in excess of the benefit,
9 only discovered in the phase 3 trial.

10 Finally, some regulatory considerations.
11 It's unclear whether non-inferiority trials can be
12 used in this context, as the regulatory basis for
13 approval based on a single study or with surrogate
14 endpoints was for when a new intervention provides
15 meaningful therapeutic benefit to patients over
16 existing treatments; for example the ability to
17 treat patients who are unresponsive to older
18 agents.

19 By design, non-inferiority trials
20 intentionally do not answer these questions about
21 improved efficacy, so there is a lack of
22 substantial evidence that the drugs are effective

1 in patients with unmet medical needs.

2 In conclusion, unmet medical need can be
3 directly addressed through superiority trials.
4 Non-inferiority trials in serious or life-
5 threatening disease also raise substantial ethical
6 issues as embodied in foundational ethical
7 documents. At a minimum, non-inferiority trials
8 should include accurate, informed consent, and
9 recent evidence shows lack of informed consent to
10 study purpose.

11 Just some acknowledgments. I don't
12 obviously work in a vacuum. While my comments were
13 my own, I would like to acknowledge my many
14 collaborators for their help. Thank you very much.

15 DR. BADEN: Thank you. Will speaker
16 number 4 step up to the podium and introduce
17 yourself? Please state your name and any
18 organization you are representing for the record.

19 DR. REX: Thanks. Thanks to the committee
20 for the chance to make a few comments. My name is
21 John Rex. I am a board certified internist and ID
22 specialist with 30 years of development experience,

1 equally split between academia and industry. I
2 currently work as the CMO of a VC-backed anti-
3 fungal company as the chief strategy officer for
4 CARB-X, and as an advisor to Wellcome Trust on
5 their investment strategies, but the comments today
6 I make are my own.

7 Germane to today, I have direct development
8 experience with both antibacterial and anti-fungal
9 products targeting rare and resistant pathogens.
10 From this integrated perspective, I see three
11 themes at play today.

12 First is unmet need. We clearly lack
13 adequate antibacterials in the global pipeline, and
14 truly, XDR pathogens are emerging. The thin
15 pipeline has many causes, but deep-down discovery
16 is really hard. Sometimes, when you narrow your
17 focus, however, to single pathogens, discovery gets
18 a little bit easier. However, as we've learned
19 today, developing those drugs is very hard.

20 It's this third point that brings us here
21 today. FDA has led a good conversation on ways to
22 approach this. The core conclusion should be

1 there's no easy way out. There's no brute-force
2 approach, diagnostics won't fix this problem, and
3 the clinical program you can do in non-geologic
4 time is likely to be imperfect.

5 What do we do when non-inferiority or
6 superiority data aren't possible? This is a tough,
7 difficult problem, and you're not going to fix the
8 problem with murky clinical data. Infections arise
9 unpredictably and then progress over a period of
10 hours. It's 2:00 a.m. on Tuesday and you either
11 enroll the patient right now or you don't.

12 These infections occur in complex clinical
13 settings that will often confound interpretation.
14 And we try really hard to make these resistant
15 infections and the difficult infections rare.

16 As an example, I once closed an ICU because
17 of an outbreak of an Acinetobacter infection. I
18 closed the services feeding that ICU. I eliminated
19 the infection. Now, I would have loved to have had
20 some new drugs at that time, but think about me as
21 a clinical study site. If I had been clairvoyant,
22 I might have been useful for about a week, but then

1 after that, I would have been useless as a study
2 site. Nobody wants to be a study site where you
3 can do these studies. Just keep that in mind.

4 So what shall we do going forward? I would
5 like to argue that we need to use some combination
6 of the tools of accelerated approval in an
7 LPAD-like language to register such drugs based on
8 four mutually supportive lines of evidence.

9 First, exhaustive, varied, and well-
10 benchmarked animal models; second, a demonstration
11 of PK in man that is predicted adequate from the
12 above-mentioned animal models; third, an adequate
13 definition of the safety profile, that's very
14 important; and fourth, at least consistent clinical
15 data. In effect, it reduces to the question of
16 whether the clinical data or the pre-clinical data
17 is sort of the thing that's 60 percent versus
18 40 percent in terms of your approval.

19 If appropriately labeled, I think having
20 such agents in the pharmacy would be valuable.
21 They would not and should not often be used. And I
22 think the global focus on stewardship -- and you

1 heard about this from IDSA -- would be very helpful
2 here. Nations around the world are having national
3 action plans. Those get translated into plans at
4 local facilities. People will not overuse these
5 drugs in the future as we envision it in terms of
6 good stewardship.

7 You've heard from the clinicians that we are
8 comfortable with extrapolating likely antimicrobial
9 to utility based on the best clinical data. We've
10 been doing that for years in other settings, and
11 this is just part of what you have to do in
12 infectious diseases. You never have all the data
13 for all the infections you want to treat.

14 So in closing, if we don't make a path
15 available, we're going to continue to lurch from
16 crisis to crisis. The path we're talking about
17 should only be used when there is no other choice
18 whatsoever, and that's an important caveat as well.
19 If you can do anything better, you really should do
20 that.

21 The problem we're talking about today is not
22 theoretical. We are living it right now. We lack

1 adequate drugs for some bugs, and there are places
2 in the world where there are bacteria that are
3 resistant to everything.

4 The narrow-spectrum drugs that are emerging
5 could be relevant here, but I want to emphasize a
6 contradistinction to the prior speakers that the
7 superiority trials, you might think it would be
8 easy to go do these superiority trials. I'm
9 telling you, it's really, really hard because
10 people work so hard to eliminate the infections
11 when they're occurring at their site, so it's very
12 tough.

13 So our choice is really kind of between a
14 sin of omission and a sin of commission. If the
15 agent doesn't exist in the pharmacy, there's no way
16 to do anything with it. If it is in the pharmacy,
17 even with limited clinical support data, we can
18 cautiously begin to develop our understanding.
19 Thank you very much.

20 **Clarifying Questions (continued)**

21 DR. BADEN: Thank you. The open public
22 hearing portion of this meeting has now concluded

1 and we will no longer take comments from the
2 audience.

3 We will now resume with the discussion that
4 we broke from for lunch. And I think, Dr. Green,
5 you have a line of questioning that you wanted to
6 start.

7 DR. GREEN: Thanks very much, and I think my
8 questions may be even more relevant after the
9 comments that we just heard.

10 I wanted to go back to the notion of
11 surveillance or phase 4 studies should one of these
12 agents be approved on a pathway that we are
13 potentially talking about.

14 Earlier, this morning, the conversations
15 were really all about identifying a safety signal
16 that had been missed. And it seems to me that
17 perhaps the concern is the opposite direction of
18 our cost-benefit analysis.

19 That is, if we're choosing to approve drugs
20 either based on non-inferiority studies, where
21 we've expanded the range of potential error or that
22 in combination with or using, instead of that, the

1 animal models, the PK/PD, et cetera, I'm wondering
2 what FDA would say or think if additional use of
3 the drug identified in fact that the error bars in
4 the small or small-ish studies in fact had gotten
5 it wrong, and so in fact they were inferior with
6 ongoing exploration.

7 I think that Dr. Nambiar, I believe, said
8 earlier this morning that we don't do provisional
9 approval in the United States. I'm not necessarily
10 saying that we should, although perhaps if we're
11 being really open-thinking and we're coming to
12 these drugs that we don't see another way to
13 evaluate them, and perhaps the best evaluation is
14 post-approval, whether or not we would be able to
15 use those data. And if in fact we saw non-
16 inferiority after the fact, there might be a way to
17 revisit the approval.

18 DR. COX: So there's probably a few
19 different things to touch on in relation to your
20 question. So as you noted, we do need the data to
21 assess whether the drug is safe and effective prior
22 to the point of approval. As you've heard from the

1 discussions, these are with drugs that are active
2 only against a single pathogen. The complicated
3 patients in whom these types of infections occur
4 can be very challenging to study, even in a
5 prospective comparative trial.

6 So the pre-market studies, their design is
7 very important to be able to understand what's
8 going on with the drug and being able to discern
9 both efficacy and safety in a patient population
10 that's going to have a range of events, some of
11 which may be difficult to distinguish between drug-
12 related adverse effects, complications of the
13 disease, other bad outcomes that could happen in
14 this patient population just given the nature of
15 their co-morbid conditions and their clinical
16 state.

17 So you're bringing up the point of in the
18 postmarketing setting, continuing to follow on to
19 see if there's issues with efficacy. So we'd need
20 to have enough to be able to evaluate safety and
21 efficacy in making an approval decision.

22 Then I think as you think about what you

1 might do in the postmarketing setting, if it's
2 going to be interpretable -- I mean, you're going
3 to run into the same issues that you ran into in
4 the pre-market setting with regards to
5 interpretability, so it'd probably need to be a
6 study of sufficient design to be able to understand
7 efficacy.

8 So it feels a little bit like -- if there
9 really are those sorts of questions, you'd hope to
10 deal with that in the pre-market setting. There
11 are things that we learn about drugs after they're
12 marketed. They're used in a broader, more
13 heterogeneous patient population or used in a
14 larger number of patients. We start to learn
15 things and see things. But oftentimes it's not
16 from a prospective, comparative, randomized trial.

17 So there are real challenges I think in
18 studying these sorts of drugs. We need to get
19 enough information in the pre-market setting to
20 make the approval decision. We can continue to
21 monitor. If there are particular safety issues, we
22 can have requirements to study those safety issues.

1 I guess what I'm coming to is to think about
2 what is it that you could do in the postmarket
3 setting, which is oftentimes less formally
4 controlled compared to what you could do in the
5 pre-market setting. So I think there are some real
6 challenges and things to think about here.

7 DR. GREEN: Suggested follow-on, I guess I'm
8 not talking about simply surveillance, but thinking
9 phase 4. Again, I get the issue, and perhaps one
10 incentive to the sponsors is that if they're
11 mandated to do phase 4, which sometimes might be
12 included, but they also get to start to sell the
13 product, it's just a little bit of some incentive.

14 As I think about this, it's all about trying
15 to encourage somebody to develop these new drugs
16 that we absolutely need and to be willing to
17 invest, knowing that they may not get any dollars
18 back on their investment. And yet, if we approve a
19 drug based on animal data with a relatively small
20 clinical trial that has error bars that we've
21 intentionally enlarged, I'm just thinking
22 about -- instead of going with the classic

1 95 percentile, if you go P 0.05, the likelihood
2 that you're going to make a false conclusion is 5
3 out of 100. But if we make the error bars bigger,
4 it's going to be larger, and the statisticians were
5 giving us those numbers a little bit earlier in the
6 morning.

7 I struggle to hold on to those. And yet, as
8 we struggle to give guidance to you all, it's just
9 trying to think about creative ways to find the
10 compromises that say the process ends up getting us
11 new drugs, but also assuring.

12 Maybe it's that then we just end up going
13 with what our speakers just said and say, well,
14 you've got to do superiority or multiple non-
15 inferiority studies. But I think I got your
16 answer, so thank you.

17 DR. COX: Let me just add, too, phase 4
18 studies can be done to further define how the drug
19 is performing, both with regards to safety and
20 efficacy. I guess what I'm saying is that we need
21 to have enough at the point of the approval
22 decision. There could be commitments to do studies

1 in the postmarketing setting to help further define
2 things.

3 DR. BADEN: I think Dr. Weina has a
4 follow-on question.

5 DR. WEINA: So we're being asked to think
6 creatively here, so why don't we really think
7 creatively and say something like, well, you were
8 asked earlier about provisional approvals and that
9 we don't do that here. Well, maybe that's what we
10 should be doing, is thinking about that type of
11 approach rather than doing phase 4s in which the
12 follow-on data is not so critically evaluated.

13 So maybe a new category could be created of
14 a provisional approval in which more study is done,
15 and then it's actually set up so that later on, you
16 look at it the same way that you do a standard
17 approval and do that type of approach rather than
18 just accepting a lower standard.

19 DR. COX: We do have to work within our laws
20 and regulations, and such, but something that would
21 be quite helpful to us is just to think
22 about -- you're thinking about a quantum of

1 evidence, what level of evidence would you want to
2 have in essence before the products were out there
3 and available for patients.

4 So maybe thinking about your point and
5 trying to frame it, in essence, and that is really
6 the heart of the questions. What is that quantum
7 of evidence that would take into consideration the
8 seriousness of the disease condition, the lack of
9 available therapies for patients with multiply-
10 resistant *Pseudomonas aeruginosa* or *Acinetobacter*
11 *baumannii*?

12 What is that quantum of evidence that would
13 get you to that step of having enough to understand
14 the safety and efficacy of the product and use it
15 in patients?

16 DR. WEINA: I mean, that's a good point, but
17 again, thinking creatively, you said you have to
18 work within the laws. Okay. And I realize getting
19 anything through Congress today might be really
20 difficult to do, but not all of this requires
21 absolute congressional.

22 This could be an additional approach that

1 could be used because the problem is that while
2 today we're only looking at two particular species,
3 5 years from now or 10 years from now, we may be
4 looking at 30 species that don't respond to
5 anything, and we really have to think creatively.
6 So why don't we get started on changing some of the
7 rules that you operate under now?

8 DR. COX: So I'm almost saying that's an
9 interesting topic and an interesting point of
10 discussion. But maybe we can get through what we
11 think the science would be, and what the science
12 would be that would be needed to be able to get us
13 to understand the safety and efficacy, such that it
14 could be used in patients.

15 We do have flexibility in the way that we
16 look at and apply the laws and regulations, taking
17 into consideration benefit-risk and unmet need. So
18 if we can work out the scientific question, I think
19 that will help us to understand whether anything's
20 needed or not. Okay?

21 DR. BADEN: Dr. Goetz has a follow-up
22 question.

1 DR. GOETZ: Yes. I just wanted to get to
2 the topic of what can and cannot be required in
3 phase 4 studies. And I don't know whether my
4 memory or whether the example is right. But for
5 drotrecogin, there were requirements for phase 4
6 studies that led to a reconsideration of the
7 efficacy of that agent and ultimately from the
8 withdrawal of that. And I believe that those
9 studies were required by the FDA, and they were
10 well-calibrated, well-performed studies.

11 At least conceptually, could something like
12 that be done, assuming you have the quantum of
13 evidence to approve, which I know we need to
14 address? But I think it might help the committee
15 in its considerations if it had confidence in what
16 could or could not be done.

17 DR. COX: Right. For required studies, if
18 there are safety issues, we can do postmarketing
19 requirements for safety issues. This gets fairly
20 complicated fairly quickly when we think about
21 accelerated approval or animal rule. The
22 accelerated approval, there's a confirmatory trial

1 that's generally required. The Animal Rule talks
2 about doing field studies should there be an event
3 or an exigency that would allow for study.

4 Then there's also postmarketing commitments.
5 So we can enter into a postmarketing commitment
6 with the company to do additional study based upon
7 their agreement to do so. And both the
8 postmarketing requirements to further evaluate
9 potential safety issues and postmarketing
10 commitments are described in the approval letter.
11 So they're all listed there with time frames for
12 various different milestones along the way.

13 DR. BADEN: Dr. Schaenman has a follow-on.

14 DR. SCHAENMAN: I appreciate Dr. Weina
15 bringing up the question of thinking out of the box
16 and trying to be creative with this difficult
17 topic. And it made me think about another way to
18 kind of maybe do a de facto provisional approval or
19 just make it easier to start building up clinical
20 data more quickly because I think the point that
21 was made before that time is often of the essence,
22 is a good one would be if there's some way that FDA

1 could help us with emergency IND applications.

2 I'm thinking about some of my most tragic
3 and challenging patients. Some of them were saved
4 and some not saved through that process. But it's
5 time consuming. It's frustrating. There are a lot
6 of regulatory barriers. And that might be one way
7 where we could achieve two goals, where it's not
8 approved, the physician and the patient know that
9 they're doing something experimental, but it allows
10 us to accrue clinical data more quickly and get
11 patient access to some of these drugs that look
12 promising.

13 DR. COX: There are mechanisms for access to
14 investigational products, and there are instances
15 where we've actually gone back and tried to look at
16 some of the data that have been accrued from
17 products that have been used under emergency INDs,
18 in some cases in a fair number of patients.

19 We have found that data in the instances
20 where we've looked back very difficult to
21 interpret. Absent a controlled trial with a
22 randomized comparator arm, given the condition of

1 some of the patients, it can be very difficult to
2 understand whether you're looking at a lack of drug
3 efficacy, a drug adverse effect, or a complication
4 of the disease.

5 So there are access mechanisms that are used
6 in circumstances when appropriate to do so. But I
7 don't know -- our experience has been that access
8 mechanisms, even when you're trying to gather data,
9 are often not an opportunity for a serious acute
10 bacterial disease where you'd be able to really
11 expect to make appraisals of safety and efficacy of
12 a drug. They're sort of a separate and parallel
13 track, if you will.

14 DR. BADEN: Dr. Gripshover has a follow-on.

15 DR. GRIPSHOVER: So actually, I had been
16 thinking about that when I was preparing for the
17 meeting, and I noticed in one of your prior
18 workshops, when I reviewed, there had been some
19 discussion about making a standardized control
20 protocol. And it hasn't come up today, but I was
21 thinking, if we have like an ongoing cohort that
22 we're collecting of HAPB/VAPB patients, and then

1 when you get the multi-drug-resistant bug, you can
2 click right in for maybe to the trial, but as a way
3 to link them together.

4 DR. COX: Just thinking about your and
5 Dr. Schaenman's comment, one way to think about
6 this might be if there could be a trial of very
7 simple design, and in fact that the access was also
8 done in a way where patients got best-available
9 therapy, but then also could also be randomized to
10 the test drug. It may be the way to actually learn
11 because you'll have a control arm and also provide
12 access.

13 In that setting, where patients are getting
14 the best available standard of care, it would seem
15 that that would be an okay design. It would
16 provide access to some in a setting where, at this
17 point, there would be equipoise about the use of
18 the drug in comparison to best-available therapy.

19 So it may be a way of a hybrid approach to
20 what you're describing that might help direct it to
21 something that you could actually learn about the
22 drug and whether it's helping or not.

1 DR. BADEN: Follow-on, if you have a
2 follow-on, Dr. Shyr?

3 DR. SHYR: We talk about out-of-box things
4 here. Just use the word he just mentioned, the
5 "hybrid." Have you ever thought about a hybrid
6 design? Because you mentioned that we do need
7 active control in some way, the patient there. But
8 can we borrow some of the information if it's
9 available and to design a way -- a hybrid means
10 that you do have it randomized here, but you have a
11 certain portion of the data that's borrowed from
12 somewhere else.

13 Have you ever thought about this kind of
14 out-of-comfort-box?

15 DR. COX: We have, and probably the area
16 where this comes up the most is in emerging
17 infectious diseases because something pops up, you
18 didn't expect it to start affecting the population,
19 and there may be some agents that have some
20 activity in cell culture or something like this.

21 So there's tremendous pressure to make drugs
22 available for patients who are affected with this

1 new disease. You're not sure what works. Time is
2 of the essence. And this is not easy, but the
3 question is can you put together a fairly simple
4 clinical trial so the design isn't something so
5 cumbersome that it impedes the ability to be able
6 to put something in place quickly.

7 It is still a clinical trial. You still
8 need informed consent. You'd still need IRB
9 approval. But can something be done with relative
10 facility in order to be able to do something where
11 you are providing both access and learning about
12 the drug.

13 So emerging infectious diseases is where
14 that has popped up and may serve as an idea. This
15 is a little bit different because these things are
16 occurring on an ongoing basis. They're not popping
17 up unexpectedly. But perhaps a simpler trial
18 design with randomization and a control arm might
19 be able to get there.

20 DR. BADEN: Dr. Marks has a follow-on.

21 DR. MARKS: Just following on, there have
22 been lots of discussions, as Dr. Cox and Nambiar

1 know, in terms of these clinical trial networks,
2 platform trials of trying to put these
3 infrastructure in place so that we can capture the
4 patients when they appear.

5 One of the challenges is the outbreaks are
6 sporadic, they're short lived, and then you have a
7 site there that's sitting idle, waiting for another
8 patient to come along. So can we put together
9 these studies?

10 The other thing is that, as sponsors, we
11 worry about GCP, so being able to conduct these
12 studies to good clinical practice that can go back,
13 and be audited, and withstand the test of time.

14 When you start taking shortcuts in terms of
15 is it an investigator IND, and you take that
16 information and put it together with others, it
17 begins to break down in terms of that durability
18 and that quality aspect. So if we can get the
19 networks together, train the investigators, and
20 capture that, I think there's a lot of interest in
21 that on a global basis, actually.

22 DR. BADEN: Do you have a follow-on?

1 Please?

2 DR. ANDREWS: Going back to your idea of
3 provisional, I like it. I mean, yes, nothing
4 passes in Congress, so if you can't do it, you
5 can't do it. But I think about, if I was thinking
6 about a medication or if I was a clinician thinking
7 about giving that to someone and I saw provisional,
8 it would be a red flag for me.

9 Another way that the FDA can give a red flag
10 is through the label. We've talked about strong
11 language in the black boxes, right, isn't it?
12 There are other ways to at least flag to consumers
13 and to clinicians that -- you could even say, this
14 was done as a study with a very small sample size.
15 We have concerns about this, this, or this.

16 You could give an A to F in terms of how
17 strong you feel about the evidence that led to
18 getting that. And it doesn't require the drug
19 companies to come back with it, but I bet they'd
20 want to get an F off their label and to come back
21 with more studies.

22 DR. BADEN: Back to the future.

1 I think that we've exhausted that line of
2 questioning. We can move to Dr. Follman, to a new
3 line of inquiry.

4 DR. FOLLMAN: My line of questioning, I
5 guess, is similar to what was brought up. I was
6 thinking about postmarketing studies basically,
7 accepting the landscape that the FDA has given us
8 to contemplate, which is a very wide non-
9 inferiority margin or approval using the Animal
10 Rule with these animal models, which from the
11 conversations don't seem to be very reliable.

12 So I was wondering, the logical way, if
13 you're going to approve something that has a large
14 cloud of uncertainty over it, what is the hope that
15 you can try and understand it more in postmarketing
16 studies? And I think what Dr. Cox and others have
17 said is, there's really not much hope for it at
18 all, that really what you need is enough evidence
19 at the time of licensure that you're comfortable
20 with it.

21 If the post-licensure studies are non-
22 comparative, which I think they most likely will be

1 non-comparative, it will just be single arm, I
2 don't see how you remove that cloud of uncertainty.
3 So if you approve something that's got a 20 percent
4 margin, it might increase the death rate by
5 10 percent, and you'll just never know.

6 So that was part of my thinking. And I have
7 more of a pointed question, I guess, for Dr. Cox.
8 We were talking about the Animal Rule, maybe
9 approving some of these on the Animal Rule. That's
10 been done for other compounds where there's been a
11 strong body of evidence, a good surrogate variable,
12 and so on. And at the end of the approval, there's
13 been, I guess, a charge for postmarketing studies
14 in the event of an outbreak.

15 So that's a little different than
16 surveillance, and I wondered if you'd comment on
17 the kinds of studies or the designs that have been
18 done under the Animal Rule and whether there's much
19 hope that they'll actually be executed.

20 So I think sometimes there will be these
21 studies on papers that might be good if they could
22 be done, but in fact there's not much of an

1 incentive for those studies to be done.

2 So just to comment on the studies that are
3 required, postmarketing studies required for the
4 Animal Rule and what's been the experience for
5 those.

6 DR. COX: So I'm most familiar with the five
7 drugs that have come through our shop for animal
8 rule approvals. And fortunately, there hasn't been
9 an event, so there hasn't been an opportunity to
10 study the particular biothreat agents that they
11 were approved for.

12 The studies that you might do in follow-up
13 to an approval for a drug for plague or anthrax
14 bring with them additional challenges, if you will,
15 if there were to be an event, to actually conduct a
16 study.

17 It's sort of what we were talking about with
18 the emerging infectious disease. If something
19 happens, fortunately, there's pre-preparation, but
20 still it's very challenging to do a trial. In
21 addition, the drug is approved under the Animal
22 Rule, so those drugs have been found to be

1 effective. So that impacts on what the design can
2 be of the trial that's out there, too, in patients.

3 Here, if we're talking about serious acute
4 bacterial diseases, I do think it is possible to do
5 a trial even after a drug is approved of an
6 appropriate design where you could conclude
7 something. I should just qualify my comment there.

8 I think many of the same challenges that you
9 have prior to approval will exist post-approval.
10 And Dean, as you said, I do agree that if the trial
11 isn't designed appropriately, it could be extremely
12 difficult to interpret because of the variability
13 in outcomes that you'd see with a disease like
14 HAPB/VAPB.

15 So a postmarketing study to provide
16 interpretable information, you'll have many of the
17 same challenges that you have in the pre-market
18 setting, but you would, I would think, need to have
19 an appropriate design in order to be able to draw
20 conclusions about efficacy in the postmarketing
21 setting, say a phase 4 commitment to do an
22 additional trial.

1 DR. FOLLMAN: What incentives are there for
2 the manufacturer to actually do this study?

3 DR. COX: So at the time of approval, they
4 make a commitment. It's in the approval letter, so
5 it is public information. There are goal dates
6 along the way for the protocol submission, and time
7 to get the study reported, and time to get the
8 study reported, and such.

9 If somebody doesn't meet their timelines,
10 it's posted on an FDA webpage so that folks are
11 aware, there's a public awareness of it.

12 DR. FOLLMAN: So that's a rather small stick
13 in a way.

14 DR. COX: Yes. And that's just a
15 postmarketing commitment for a phase 4 trial. If
16 it's accelerated approval, there's a little more we
17 can do there. An animal rule is written more in
18 terms of should an exigency occur. But generally
19 there is a commitment to do this and information is
20 out there and available to the public if folks are
21 not completing a trial in a timely fashion.

22 DR. FOLLMAN: Just to finish up and to try

1 and think creatively, you don't have a provisional
2 approval, but you have I guess a patent for a
3 certain period of time. You could incentivize that
4 by maybe shortening the period of time that the
5 patent runs if they don't do this study, or for a
6 carrot -- I think you have the scheme where you
7 will allow a company to go to the head of the line
8 for approval or for evaluation. And maybe you
9 could use that as kind of a carrot for a person to
10 do a phase 4 study.

11 So even if you don't have partial approval,
12 there might be other incentives you could do to
13 improve the possibility of getting these studies
14 done.

15 DR. BADEN: I have a follow-up, a point of
16 clarification, Dr. Cox. With the Animal Rule, is
17 there a different legislative mandate for follow-on
18 study, or is it sort of built in, we think you
19 should do this?

20 DR. COX: It is a requirement to do the
21 study, but it's written more in terms of should
22 something occur, should an event occur that would

1 allow you to do the study.

2 DR. BADEN: The reason I ask is can that
3 language -- if one thinks of the tier A, B, C, D or
4 tier 1, 2, 3, 4 of evidence that people have been
5 bandying about, if one has the most limited nugget
6 of clinical efficacy, could one adopt some of the
7 Animal Rule requirement as a way to generate the
8 data while still allowing access to the unmet
9 medical need?

10 DR. COX: Right. So if you get to the point
11 of having enough information in the pre-market
12 setting to be able to conclude safety and efficacy,
13 then you could put in place a postmarketing
14 requirement for a study either responsive to what's
15 in the Animal Rule or the accelerated approval
16 regulations to be able to further evaluate the
17 clinical efficacy that you'd seen that was
18 sufficient to lead to approval earlier on.

19 DR. BADEN: Follow-on, Dr. Lo Re?

20 DR. LO RE: Yes. So could you just clarify,
21 Dr. Cox? As I understand it, the Animal Rule has
22 really only been invoked for the bioterrorist

1 agents. Is that correct?

2 DR. COX: People are going to help me here a
3 little bit, but I'll try and do some of the
4 indications. So it's been used for indications of
5 treatment in prophylaxis or plague. We have three
6 fluoroquinolones that have that in their label.

7 It's also been used for monoclonal
8 antibodies that bind the toxins of anthrax, so if a
9 treatment is an adjunct to antibiotic therapy for
10 treatment of anthrax disease. And then it's also
11 been used for cyanide toxicity and myelosuppression
12 from radiation. So that's what it's been used for
13 to date.

14 DR. BADEN: Thank you. If no other
15 follow-on, then -- no, I'm up next. Nice try.

16 Is Dr. Perl still here? No. Okay. Then I
17 will pass my question to Dr. Corbett.

18 DR. CORBETT: I have two two-part questions.
19 One, I think is really easy to answer, likely for
20 the FDA. Just to be very clear, to make sure I'm
21 understanding this, so these agents are clearly
22 identified in vitro to only have activity against a

1 very specified bug. Correct? So Acinetobacter and
2 Pseudomonas in these individual cases.

3 DR. NAMBIAR: Yes, that's correct.

4 DR. CORBETT: They could have activity of
5 those that are susceptible to other antimicrobials
6 in addition to being multi drug resistant.

7 Correct?

8 DR. NAMBIAR: Really, the organism is
9 susceptible to the particular drug, irrespective of
10 what its susceptibility profile might be to other
11 drugs. It might cover certain resistance
12 mechanisms, it might not.

13 DR. CORBETT: It might not. Okay. So I
14 just wanted to make sure I was clear on that.

15 The second of the two part, just
16 brainstorming -- and I'm sure you all have thought
17 of these other scenarios, and perhaps borrowing
18 from other specialties, and I think we've already
19 mentioned some of these already, both of which I
20 realize are not exactly like the situation that
21 we're talking about, but just to help me think
22 about how to adapt from other disciplines to think

1 about the situation.

2 One would be for HIV, which I know is very
3 different. We have a clear biological marker. I
4 know there's been studies with newer agents that
5 have been mostly non-inferiority-based studies, but
6 from my recollection and talking to others, I
7 believe those are based on the 10 percent non-
8 inferiority most of the time. Would that be true?

9 DR. COX: Yes. With HIV, you're correct.
10 You have a surrogate that really has been shown
11 multiple different drugs correlates with reduction
12 in opportunistic infections and reductions in
13 mortality.

14 It's a chronic condition, so fortunately,
15 with the advances in therapy, we don't see the
16 opportunistic infections, we don't see the
17 mortality within the time frame of a trial that's
18 usually 24 or 48 weeks.

19 As you go from single-agent therapy to two-
20 agent therapy to three-agent therapy, the jumps are
21 really quite large. So it allows you to pick a
22 non-inferiority margin, even if you're just adding

1 a third drug to an existing two-drug regimen
2 because that incremental increase in treatment
3 effect is quite large.

4 To your last question, I can't remember what
5 the exact margins are, but it's something in the
6 neighborhood of 10 percent or thereabouts sounds
7 reasonable for what I would expect.

8 DR. CORBETT: That's my recollection. Yes.

9 DR. COX: Some of it is based on the size of
10 the trials and practical issues in addition to what
11 degree of loss would be acceptable. There's the
12 sample size calculation using that margin, and then
13 there's actually the result of the trial. There's
14 a bell-shaped curve where less is out in the tails
15 than is at the point estimate.

16 DR. CORBETT: I would assume that's true. I
17 was not back when single-agent anti-retrovirals
18 were developed. I know lots of things have changed
19 since then. So I would assume, AZT, DDI, even
20 aquinavir, when those were available, I would
21 assume we can't really borrow from that
22 information, either.

1 DR. COX: So we did use that information
2 about what were the outcomes when you had just
3 single-agent therapy versus when you had two
4 agents, versus when you had three agents. And the
5 jumps were so large across those additions that it
6 did allow -- the margin that I recall being set was
7 you went from a second to a third agent, where the
8 incremental gain was still very large. But there
9 were large jumps all along the way.

10 DR. CORBETT: I didn't think that was
11 terrible helpful, but I just thought -- the other
12 scenario, which I'm sure you all have talked about,
13 is cancer to chemotherapy for oncology patients.
14 And that's just my ignorance of not knowing how
15 traditionally those are developed and approved.

16 So perhaps you could maybe summarize a
17 little bit about how that process works, especially
18 those that are later-stage cancers.

19 DR. COX: So I don't claim to be expert in
20 this, but I'll give you some information as I've
21 tried to explore this a little bit.

22 One of the things that makes these studies

1 so challenging in the serious acute bacterial
2 disease is the diagnostic uncertainty and the
3 urgency with which therapy needs to be initiated.
4 And that really makes these trials tough because
5 oftentimes you're treating empirically. You're
6 treating with other drugs that may cloud the effect
7 of your investigational drug. So that's the
8 serious acute bacterial disease issue.

9 With oncology, typically, they're making a
10 tissue diagnosis. There's often times for receptor
11 studies and further characterization of the tumor.
12 So the diagnosis is known quite well at the time
13 that the investigational therapy is either the drug
14 that's used or it's added on to existing therapies.

15 Some of their studies will look at its tumor
16 progression or progression-free survival. And they
17 are able to do a study. And if there is
18 progression, then they can take the patient and
19 give them rescue therapy. And you can see how,
20 with a serious acute bacterial disease, the issue
21 may be that if the patient doesn't get effective
22 therapy within that very early time period, the

1 outcome may be determined, and the patient may
2 expire if they've got a condition with a serious
3 mortality. And there may not be a second
4 opportunity to intervene or rescue in all cases.

5 In some cases, there would be. If the
6 patient stopped responding, you could still jump in
7 with another therapy. But it's more challenging in
8 the serious acute bacterial disease space because
9 of the nature of the disease and the opportunities
10 to rescue or not, to be able to effectively rescue.

11 So I hope that helps a little bit with your
12 questions.

13 DR. CORBETT: You were very helpful, yes.
14 But I guess to continue on that and just to be
15 complete, or maybe you don't know or someone else
16 knows, most of the approval on that is still based
17 on small clinical data, so mostly phase 2-like-ish?

18 DR. COX: Maybe not so much concern about
19 whether it's labeled phase 2 or phase 3, but from
20 what I've understood, many of their conditions
21 don't get better. So the tumor doesn't shrink on
22 its own, so if you start to see a tumor shrinking,

1 you've shown something that would never happen on
2 its own spontaneously. So they have that very
3 stable baseline that helps them in discerning
4 efficacy.

5 In the serious acute bacterial disease,
6 depending upon who gets in the trial, if we're
7 jumping up and down by 20 percent on the outcome,
8 if it's mortality in a trial, it can be difficult
9 to discern that.

10 Also, there have been some really remarkable
11 advances in the area of cancer chemotherapy, where
12 even in a not-so-large trial, they've been able to
13 show mortality advantages. So the numbers of the
14 trials are not huge, but given some of the
15 therapeutic advances that have happened, even
16 within a trial of relatively limited size, they've
17 been able to show mortality differences because of
18 the advances in therapy.

19 DR. CORBETT: Right, which we don't
20 necessarily have.

21 DR. BADEN: So a follow-on, because I've
22 thought about what can be learned or inferred from

1 the oncologic model. And I think there are
2 substantive differences, some of which have already
3 been mentioned. But I think there are some aspects
4 that, at least for me, resonate a bit. And that
5 has to do with the precision phenotyping.

6 If someone has a swollen node, is that
7 adequate where you know they have lymphoma? Or if
8 they have lymphoma, does it matter if it's
9 Hodgkin's or non-Hodgkin's? Or does it matter if
10 it's mantle-cell or marginal-cell? And you can go
11 on and on, and then that changes the treatment.

12 Here, we have someone with pneumonia. We
13 don't even know they have pneumonia. They're on a
14 ventilator, and we think they have pneumonia. And
15 then we treat them, and hope they get better, and
16 try to infer. And maybe there's a culture that's
17 positive. Maybe it's a non-sterile site culture
18 that's polymicrobial.

19 So at least in my own mind, the issue of
20 precision phenotyping, and could one learn more?
21 You can learn a lot in an oncologic study, in my
22 view, of a small number of cases that actually have

1 the disease that is relevant to the intervention
2 versus they have swollen glands.

3 So I guess I'm not satisfied, at least in
4 the practice in my part of the country that we have
5 precision phenotyping for what infection is causing
6 what syndrome. And as long as we're syndrome
7 based, it creates so much more noise.

8 Then it gets to Dr. Bennett's comment about
9 if there's more noise than case, how can you ever
10 tell a difference because everything will be the
11 same? So I do wonder about the issue of precision
12 phenotyping, and I could imagine with an
13 Acinetobacter or Pseudomonas study, where 10
14 bacteremias treated may be more informative than
15 250 pneumonias that may or may not be
16 Acinetobacter.

17 How one would think about that, I welcome
18 Dr. Isaac's comments if they've thought about this
19 or the agency's comments about how one would weigh
20 the precision of a sterile-site culture with the
21 organism of interest versus the imprecision of a
22 syndromic/non-sterile-site culture.

1 DR. COX: Yes. Diagnostic certainty and
2 identifying a patient population where the outcome
3 is expected, where there's an evidence base to
4 expect that the outcome would be worse, could help
5 in essence being able to show a larger treatment
6 effect in that patient population and help to
7 alleviate some of the uncertainty. If such a sub-
8 population of patients can be pre-defined and
9 identified, it could be quite helpful.

10 We have done this to some extent. Just
11 thinking about it, it's typically been, we always
12 do look at the bacteremia cases in a variety of
13 infectious diseases. So whether it's community-
14 acquired pneumonia, whether it's a complicated
15 urinary tract infection study, the bacteremic
16 subset within an overall trial that's successful is
17 something that we look at because we think it helps
18 us to look at a sub-population where there is
19 diagnostic uncertainty, it's a sterile site, that
20 bacteria should not be there.

21 Usually, too, with bacteremia, there's also
22 an associated degree of severity of disease that

1 helps us to understand how the drug works.

2 DR. BADEN: I think Dr. Shyr [Shire] has a
3 follow-up.

4 DR. SHYR: Shyr [Sheer]. So I think that's
5 a great question to follow up. Do we have the
6 characteristics of the patients who failed for the
7 current active control drug? Do we have that
8 dataset?

9 DR. COX: So help me a little bit more,
10 Dr. Shyr. I'm just trying to understand your
11 question.

12 DR. SHYR: I mean do we know their
13 phenotype. Two ways. Right? We know the clinical
14 characteristics of dose subgroup patients, they're
15 most likely to fail. Or second, from molecular, do
16 we have the detail, even sequencing data, of the
17 bacteria to know they may have different -- so
18 those two angles, do you have that data?

19 DR. COX: I'm not sure that we do. And I'll
20 just make a couple of points. I'm not sure I fully
21 understand your question, but we do see patients
22 who come in to the hospital, and depending upon the

1 stage of their disease -- are they far along or are
2 they earlier in the disease -- even those that have
3 exquisitely sensitive bacteria to the drug they're
4 being treated with, they will die, or expire, or
5 have a bad outcome, whatever that outcome may be.

6 When we see failures in a clinical trial,
7 we'll oftentimes try and understand why those
8 patients failed. Is it a situation where the
9 patient's been underdosed? Is there something else
10 going on? Do we not understand susceptibility
11 testing in the way that we should or something like
12 that?

13 But I'm not sure I've answered your
14 question, but those are a few things that come to
15 mind that I think may be related to what you're
16 asking.

17 DR. SHYR: So my question is rephrased this
18 way. If we know the particularly subtype of the
19 patient, we know they're likely to fail. Do we
20 have that data? That's my question. If we
21 don't -- for the cancer, they have cancer registry
22 data. We don't have a registry. Do you have any

1 plan for those multi-resistant or for a particular
2 subgroup where we should have registry data to
3 study them?

4 DR. COX: I think I'm understanding now, and
5 you'll correct me if I'm wrong. But you're talking
6 understanding characteristics that would lead to
7 worse outcomes, so a patient population based upon
8 an understanding of the natural history of disease
9 and the factors that impact upon outcomes. Because
10 if you could identify a patient population that was
11 likely to have a very bad outcome, even given
12 standard of care, could you then study that
13 particular population of patients? And if you had
14 an agent, it might be able to show a large
15 treatment effect.

16 (Dr. Shyr nods in affirmation.)

17 DR. COX: I see you nodding, so yes. Okay.
18 Good.

19 DR. SHYR: Exactly.

20 DR. BADEN: Dr. Weina, do you have a
21 follow-on?

22 DR. WEINA: Dr. Corbett's question actually

1 touched on something that I had thought about as I
2 was reading through the briefing packet ahead of
3 time. And that is, one of the questions she asked
4 is, the drug or drugs that we're talking about are
5 truly for a single species, usually for a
6 multi-drug, one, and do they also have effect on
7 the non-multi-drug species.

8 But I guess my question is even broader.
9 And that is that one of the strategies that we used
10 to think about when I was doing a lot of drug
11 development was what's the easiest path to
12 licensure. And let's take that path first. Then
13 the drug is out there, and it can be used off label
14 by just about anybody for almost anything. And
15 that's just the reality of it. And it was also
16 brought up, once a drug is on a shelf, it's on the
17 shelf.

18 So the question becomes, as we think about
19 changing the standards for this, are we going to
20 have to start thinking, too, about what's the
21 burden of proof? It's really this niche-type drug
22 that only treats this one single species and

1 doesn't have a potential for other indications, so
2 that once it gets approved and it's on the shelf,
3 it can be used for other things.

4 Is that something to think about as well?

5 DR. COX: Right. We are trying to work
6 within the existing standards and recognize that we
7 can take into consideration benefits and risks.
8 And taking benefits and risks into consideration,
9 unmet need, patients who have serious disease and
10 don't have treatment options, is an area where we
11 do have flexibility.

12 I think we can work with benefit-risk and
13 understanding unmet need for these disease
14 conditions. We tried to outline some of the
15 approaches that we hope would be doable, primarily
16 focusing on what can be done clinically, and then
17 if in fact that turns out to not be the case, some
18 discussions around what role the animals might
19 play.

20 So the ideas that we threw up were ideas
21 that we would hope, if successful, would be able to
22 provide us the type of information that we would

1 need in order to make an assessment. But I'll stop
2 there, Pete, and then you'll help me understand if
3 there's more to it that I didn't get to.

4 DR. BADEN: Dr. Moore?

5 DR. MOORE: I just have a follow-up, I
6 guess, point about Dr. Shyr's. A point is the
7 difficulty with studying these pathogens, and as
8 with almost any infectious disease, really, the
9 patients who come in who are the sickest are
10 actually paradoxically less likely to be enrolled
11 in a clinical trial when you approach the family
12 and say, "Look, Mom's really sick, and we're not
13 sure she's going to survive. How about this
14 experimental medication?"

15 So the approval rates for informed consent
16 in those situations are less. The other is that
17 these patients, especially with the pathogens we're
18 discussing today, these patients almost informally
19 have a wide variety of complex and significant
20 co-morbidities that play into outcomes.

21 So it's one thing where you have
22 biopsy-proven malignancy, where you have proof that

1 the patient has the infection. It's another to be
2 able to completely unable to -- or not completely,
3 but reliably unable to distinguish between
4 colonization and infection to even enroll the
5 patient and follow those patients.

6 So Dr. Shyr's point is well taken in the
7 sense that it would be great if we had those
8 endpoints for patients who had a higher likelihood
9 for high mortality, but in reality, those are
10 difficult to come by.

11 DR. BADEN: Dr. Cox?

12 DR. COX: I'll just mention briefly that
13 you're right. I mean, it really is challenging,
14 and enrolling in a HAPB/VAPB trial is particularly
15 challenging. And the folks from the Clinical
16 Trials Transformation Initiative are working on a
17 project to see if patients who are at risk for
18 developing pneumonia, I should say HAPB/VAPB -- can
19 patients who are at risk, can they identify
20 patients who are at risk for developing HAPB/VAPB.

21 Can they in essence study a pre-consent
22 process to make the likelihood that a patient who

1 is willing and interested to be in a clinical
2 trial, the logistics of some of the consent process
3 can be handled and managed in a more efficient way
4 in order to be able to improve accrual in a
5 clinical trial for patients who are willing and
6 able to consent for getting in such a trial?

7 DR. MOORE: I'm sorry. Just one last point
8 about that, that I failed to mention also is when
9 you have greater emphasis on infection-control
10 outcomes for hospital-acquired infections, you have
11 every institution doing its best to try to
12 eliminate, because it cuts into their bottom line,
13 reduce the rates of VAPB, it becomes increasingly
14 difficult to perform a clinical trial on VAPB in
15 the United States. And this relates to the other
16 question. We'd have to start taking or accepting
17 studies where the majority of the patients are
18 enrolled overseas, and that brings another problem.

19 DR. BADEN: Dr. Ofotokun?

20 DR. OFOTOKUN: I'm still trying to wrap my
21 head around this issue of design, non-inferiority,
22 superiority, study design. So assuming we are able

1 to, maybe by some strange reason, we have the
2 technology to clearly define the phenotype or we
3 have diagnostic certainty, that we know this is
4 what we're dealing with, and we have these new
5 agents that have a new mechanism of action and that
6 are likely to be effective against the pathogen,
7 wouldn't a superiority study design be a more
8 effective approach, an easier approach than a non-
9 inferiority study design, at least for the first
10 two or three trials?

11 DR. COX: I'll try and walk through this,
12 and I'll ask others to help me. So at least when I
13 think about infection, I think about the bacteria,
14 the host, the immune response, and the
15 antibacterial drug. If we look over time, we've
16 seen a number of antibacterial drugs studied, and
17 we very occasionally show a finding of superiority.
18 There are the occasional drugs that do better than
19 some of the existing drugs.

20 In some instances, where we've seen that, it
21 may be driven by the comparator agent, there being
22 resistance to the comparator drug so it's less

1 effective there. But if you have a fully effective
2 comparator drug, the question is, is it a new drug
3 that's essentially doing about the same sort of
4 thing, it's killing the bacteria, the likelihood of
5 showing superiority on that alone may not be too
6 terribly high.

7 So then you can ask the question of who
8 needs it. Well, many people might need it because
9 it may operate via a different mechanism of action.
10 It may be tolerated because it has a different
11 antigenicity. It may not provoke hypersensitivity
12 reactions. It may have fewer drug interactions.

13 Those characteristics may not be fully
14 brought out in a non-inferiority trial. The
15 finding of superiority is either the drug is truly
16 better, and that's wonderful, and we'd love to see
17 that. But even an agent that's doing about the
18 same as an existing drug that operates via a new
19 mechanism of action may need a drug that is
20 critically important to patients out there.

21 If you think about the setting that we're in
22 now, where we rely upon drugs because of

1 susceptibility testing results that show that this
2 drug is still active, when that drug was originally
3 studied, that resistance mechanism that we're
4 relying upon it is an alternative treatment or it
5 is an option that still works, may not have even
6 been around at that point in time. But we're very
7 thankful that we have those drugs and we can use
8 them to treat patients' infections that would
9 otherwise not be there.

10 So superiority findings are very
11 interpretable. It's wonderful when we have a new
12 drug that's superior, but even options that are not
13 necessarily superior but things that operate via
14 different mechanisms of action that perform about
15 the same with regards to efficacy or have different
16 drug interaction profiles, different toxicity
17 profiles, can be very beneficial to patients out
18 there.

19 Does that help some?

20 DR. BADEN: Thank you. Dr. Hilton, you had
21 a follow-on question?

22 DR. HILTON: Yes, thanks. I'd just like to

1 come back briefly to the phase 2/phase 3 question.
2 I think I would be less concerned about this if I
3 had seen phase 2 data, but I feel that getting that
4 before going towards a phase 3 trial is pretty
5 important. But I'd also like to lay out a big
6 contrast that I see between these two designs.

7 They can both be randomized, and I would
8 recommend a randomized phase 2 trial. So if the
9 study designs are the same, the key difference then
10 for me is the order in which the primary and
11 secondary analyses are defined. So for phase 2, I
12 would do within-arm analyses and sample size
13 calculation, and for phase 3, I would do between-
14 arm analysis and sample size calculation.

15 So the latter is going to be driven by this
16 tiny difference that we're struggling with, whereas
17 the former depends just on how wide a confidence
18 interval, how much uncertainty we're willing to put
19 up with.

20 So I feel like we're discussing animal
21 models and stuff. This has got to be stronger than
22 an animal model. It's in people. It's using the

1 relevant drugs. You can have as a baseline
2 characteristic the participant's drug
3 susceptibility and colonization level. Until I see
4 phase 2 data, I'm not comfortable moving forward to
5 phase 3.

6 I just would like to raise one more point
7 and ask a question. I think that it would be
8 useful in hospitals or any other relevant settings
9 to begin surveillance for development or estimation
10 of rates of development of drug resistance to these
11 infectious drugs.

12 Because we've talked about different rates
13 of response by body compartment, I wonder if a
14 simple blood-sampling strategy would be able to
15 answer that question or if it has to be more
16 complicated sampling than that. Thank you.

17 DR. COX: Maybe just one comment on your
18 last point. People do try and understand tissue
19 levels, whether it be levels in the lung, levels in
20 the urine, levels in the bloodstream. And it does
21 help them to select indications to pursue or not
22 pursue depending upon the levels attained, and it

1 can be very helpful in deciding not to do
2 something.

3 There are instances, too, where even despite
4 doing that sort of information, where it was in
5 fact a clinical trial later on showed us a surprise
6 that it didn't work at a site where we actually did
7 attain a level.

8 But very good points as far as you certainly
9 do everything you can do to understand the drug
10 levels in the target organs that you're trying to
11 treat the infection. And it can be very helpful in
12 deciding where not to study the drug. But
13 sometimes even when we think it will work,
14 sometimes clinical trials teach us something we
15 didn't expect.

16 DR. BADEN: Dr. Marks, you had a follow-on
17 question?

18 DR. MARKS: I think it was back from
19 earlier. I was trying to remember where -- we've
20 progressed.

21 DR. BADEN: That's okay. Then we can move
22 to another line of question. Dr. Lo Re? No.

1 Dr. Daskalakis?

2 DR. DASKALAKIS: I have more of a comment
3 than a question, actually, which has to do with the
4 conversation about postmarketing evaluation of
5 these agents.

6 I was thinking about this from the
7 real-world perspective of what would happen when
8 these agents come out. And I think that a couple
9 of things that would happen is that they would be
10 priced very high because not very many people will
11 use them.

12 Many hospital formularies would consider not
13 including them on their formulary, which would
14 potentially mean that postmarketing evaluation of
15 the drug will be stymied by a very small number of
16 folks who will actually be using the drug, and it
17 will take a very long time to figure it out.

18 So I'm saying this in support of your
19 comment, which is looking at the intro, the
20 data-end approval is going to be really important
21 because I don't think it's going to be an easy to
22 drug to evaluate postmarketing.

1 I'm not sure if you have a comment about
2 that as well.

3 DR. COX: No. It's a fair point. I think
4 most people are looking at these drugs that would
5 be used judiciously in the real world out there.
6 Pricing, I won't comment on. And then yes, as
7 we've mentioned, given the patient populations
8 here, to really be able to understand efficacy, it
9 would seem like you would need a randomized control
10 group. And that will be tremendously helpful in
11 trying to interpret any results, so fair points.

12 DR. BADEN: Dr. Marks, a follow-on question
13 now?

14 DR. MARKS: No. I just remembered what I
15 was going to say. Thank you. It had to do with
16 the type of the host that the infection is in. In
17 the current day, most of these antibacterials are
18 targeted towards killing the bacteria, and that's
19 what they do, and that's the limit of what their
20 ability is. So depending on your phenotype and how
21 many co-morbidities you have, that's probably not
22 going to be affected by the antibiotic.

1 In the new generation, where we have host-
2 modifying approaches, then maybe that will play
3 through more and more in that situation. That's
4 what I was trying to say.

5 DR. BADEN: Thank you.

6 Dr. Shyr, do you have another line of
7 questions or have they all been asked?

8 (Dr. Shyr gestures no.)

9 DR. BADEN: So are there any other general
10 questions? Yes, Dr. Honegger?

11 DR. HONEGGER: I guess this is somewhat
12 specific, but for Dr. Isaacs, given the geographic
13 differences in Acinetobacter prevalence, was the
14 trial that's being proposed going to be enriched in
15 Asia or other countries, or was that a U.S.-based
16 trial that you proposed?

17 DR. ISAACS: So given the paucity of
18 patients suitable for the clinical studies, the
19 clinical studies will be run in those areas where
20 we're most likely to get patients, so that would be
21 a global program.

22 DR. BADEN: Dr. Bennett?

1 DR. BENNETT: I want to offer an anecdote,
2 and it has to do with that I work in a hospital
3 that made national headlines because we had more
4 than 12 KPCs in the course of a year and a half.
5 These isolates were resistant to everything except
6 colistin, and at least in vitro, tigecycline.

7 At the end of this experience, I have no
8 idea if colistin was effective. And I did learn
9 that the NYCs could creep up week after week
10 because of *Pseudomonas aeruginosa* or the KPCs, and
11 that the nephrotoxicity of colistin was easy to
12 see.

13 So why couldn't I tell if colistin did
14 anything? And the answer is these patients
15 receiving normal drugs, typically an anti-fungal,
16 antiviral, as well as several antibacterials for
17 empirical use for other conditions, although many
18 of them died unfortunately, the cause of death was
19 usually not clearly multi-factorial.

20 So we're talking about the populations. I
21 think it's important we find populations in
22 Dr. Cox's [indiscernible] way he's been saying

1 this, where we can actually evaluate the efficacy.
2 For many of these patients, you cannot really
3 evaluate the efficacy of a new drug. Thank you.

4 DR. BADEN: If no other comments, we'll let
5 Dr. Bennett lead us to our break. We will take a
6 15-minute break, and then reconvene and discuss the
7 specific questions before us.

8 I will re-advise the committee to not
9 discuss the meeting topic during the break, and we
10 will resume at 2:45.

11 (Whereupon, at 2:34, a recess was taken.)

12 DR. BADEN: We shall now resume. The
13 committee will now turn its attention to address
14 the task at hand, the careful consideration of the
15 data and discussion before the committee as well as
16 the public comments.

17 I will ask our colleagues from the
18 antimicrobial office to give us our charge.

19 **Charge to the Committee - Edward Cox**

20 DR. COX: Great. Thank you, Dr. Baden. I'd
21 like to thank all of today's presenters, and the
22 speakers at the open public hearing, and committee

1 members for all of the presentations and
2 discussions so far.

3 We brought this issue of single-species
4 active drugs to the committee because this is both
5 a particularly challenging and important issue.
6 And as we've heard, there are patients out there
7 with infections that are serious infections, where
8 there's unmet need and have few or no available
9 treatment options, *Acinetobacter baumannii* and
10 *Pseudomonas aeruginosa* being two particular
11 problematic pathogens in that they cause serious
12 infections, and we don't have much in the way of
13 treatment options for patients who have multi-drug-
14 resistant isolates of these particular organisms.

15 We appreciate the committee's engagement on
16 this difficult topic. And as Dr. Baden noted
17 earlier, the discussion is largely conceptual, but
18 based on some of the examples we've heard, and as
19 you can see, there are sponsors who are developing
20 such products and embarking on such programs. So
21 that brings the importance of the conceptual
22 discussion to help get advice on these sorts of

1 programs in general.

2 As you also noted, this is a challenging
3 issue, so we did have some workshops previous to
4 this to help develop some of the thoughts that
5 we've presented to you here today. We think it's
6 important to do what can be done to increase the
7 likelihood of generating interpretable clinical
8 trial data to evaluate the safety and efficacy of
9 single-species active antibacterial therapy when
10 that species occurs particularly infrequently, and
11 we'd appreciate any advice the committee has on how
12 to increase the likelihood of generating
13 interpretable data from the clinical trials.

14 As you can see from the questions, we're
15 also interested in advice from the committee on
16 what role data from animal models of disease might
17 play in evaluating a therapy if, despite all the
18 best attempts possible, an interpretable clinical
19 trial cannot be achieved.

20 As we've discussed, and really this has been
21 at the heart of the discussions, there's a very
22 complex weighing of risks, benefits, and certainty,

1 and the degree of unmet medical need that are faced
2 in these particular therapeutic areas where
3 Acinetobacter and Pseudomonas aeruginosa cause
4 infections.

5 So we have two main questions for the
6 committee, and they're not voting questions. So
7 really important to us will be understanding your
8 thoughts and advice on the particular questions
9 that we have for you.

10 Should we project question 1 at this point?
11 Question 1 is discuss the unmet medical need for
12 single-species-specific products and the risks and
13 benefits of the development proposals presented.
14 And please provide any additional recommendations
15 that you might have for developing such products.

16 So again, we're looking for any advice that
17 you might have on the components of such a
18 development program and any advice that you might
19 have on ways that would make this more likely to be
20 interpretable or achievable, any advice you have.

21 We've heard some of those points being made
22 during the discussion so far, so we welcome the

1 opportunity to hear more about that and/or
2 reiteration of some of the ideas and
3 crystallization of some of the ideas that we've
4 heard so far.

5 You want to do the second question now, too?
6 I'll do the second question, just so folks know
7 where we're going. So question 2 is, while every
8 effort will be made to perform human clinical
9 trials, performing clinical trials for
10 antibacterial drugs that treat a single species of
11 bacteria when the target species infrequently
12 causes infections will be challenging, and data
13 collected may not be interpretable or may be very
14 limited.

15 Should this circumstance arise, it may be
16 useful to consider whether animal models of serious
17 bacterial infections can provide useful information
18 to assess the activity and efficacy of the drug.

19 In such a situation, please discuss the
20 following. We've got two component questions here,
21 the types of animal models and appropriate
22 endpoints that you think might be useful to assess

1 the efficacy of an investigational agent. Then the
2 second component to the question, if there is a
3 situation where efficacy is principally
4 demonstrated in animal models of infection and only
5 limited clinical trial data are available in
6 humans, how might such a product be used
7 clinically? Thank you.

8 **Questions to the Committee and Discussion**

9 DR. BADEN: So we will first address
10 question 1, which we will open for group
11 discussion. And after group discussion, then we
12 will go around and each, under a minute, synthesize
13 what we think are the key issues for the agency to
14 consider.

15 I wish to do that in part because we are
16 here to help give the agency advice, and all of us
17 have heard lots of information. It is difficult to
18 know how we each prioritize the complex information
19 and to try and crystallize what we think is most
20 relevant.

21 So we'll start with the first question on
22 the right, the second question on the left, just so

1 my colleagues can prepare their thoughts. We will
2 open with question 1 now for general discussion,
3 for us to debate amongst ourselves as to any
4 salient issues. Dr. Andrews?

5 DR. ANDREWS: I can't remember where this
6 came from. I think it was in one of the
7 presentations today, but it may have been what I
8 read on the plane here. But there was a discussion
9 that if animal models or early tests suggested that
10 there was a need to be careful about how this
11 rolled out, that you would choose centers to
12 essentially pilot it through so that it could be
13 better studied and then also better targeted to
14 people who would benefit the most and people who
15 would be the least likely to get the toxic effects.

16 Well, that's how I read it, and that sounded
17 like a good idea to me.

18 DR. COX: Just thinking about a drug -- and
19 we do learn a lot from the early pre-clinical
20 studies, what the target organs of toxicity are,
21 and things of that nature. So it does help us to
22 provide information about the drug, and that could

1 help to identify a patient population.

2 For example, if a drug has renal toxicity as
3 its target toxicity or there's drug interactions
4 that enhanced renal toxicity, there may be
5 particular patients to avoid. So a reasonable
6 thought to try and figure out how we can use the
7 information that we know already to try and reduce
8 the risk in the patient population that would be in
9 the study.

10 DR. ANDREWS: I understood it to be also
11 post-approval, or can you not do that?

12 DR. COX: The same principles would apply.
13 The information that we can provide about a drug,
14 what we know about its toxicity, if there are
15 particular patient populations where the efficacy
16 is not borne out from the clinical studies, those
17 same ideas and principles would apply. Providing
18 that information could help to guide the
19 appropriate use of the drug.

20 Does that help? Okay.

21 DR. ANDREWS: Yes.

22 DR. BADEN: Dr. Lo Re?

1 DR. LO RE: I actually really like that
2 idea, and I think that if we consider the mini-
3 sentinel model, which was the creation of a
4 distributed database, collaborating with different
5 health plans specifically for the purpose of
6 safety, maybe the development of a single-species
7 drug, clinical trials network, perhaps even
8 internationally, given what Dr. Isaacs told us
9 about the prevalence of the infections, might be
10 something that the agency might want to certainly
11 consider. I think that would give potentially a
12 larger opportunity to get good evidence in terms of
13 clinical trials data.

14 I guess one of the other thoughts that I had
15 was I was very compelled by the concerns about the
16 identification of appropriate phenotypes,
17 particularly with regards to the challenges in
18 identifying pneumonia, urinary tract infection.

19 I guess I wondered if the focus was made
20 from the agency standpoint of looking at, for
21 example, bloodstream infections, would there be a
22 consideration that, potentially, given the narrow

1 number of patients with these diseases, with these
2 infections, to consider potentially that if
3 efficacy is shown with bloodstream infections,
4 potentially that could be extrapolated to other
5 sites, or something in terms of labeling the
6 potential?

7 DR. COX: The labeling usually reflects the
8 population studied. So if the study were one where
9 it enrolled patients predominantly with, say,
10 HAPB/VAPB and bloodstream infections, then that's
11 probably what we would reflect in the label.

12 We haven't gone beyond the patient
13 populations studied. If we look back over the last
14 10 years, there's been really a handful of
15 occurrences where we've seen drugs, although we
16 didn't expect it, that worked in one body site and
17 not another.

18 So that's been one thing that's popped up.
19 And oftentimes, those studies were undertaken in
20 those other body sites, expecting that the drug
21 would work. Obviously, you don't do a study if you
22 don't think the drug would work. So there are

1 those issues with extrapolation.

2 Then beyond that, too, sometimes, depending
3 upon from which sites you're extrapolating to, to
4 another, there may be differences in dose and
5 duration that would be appropriate for different
6 body sites, so something to think about.

7 DR. BADEN: Dr. Weina?

8 DR. WEINA: Certainly, one of the things
9 that we can learn from other drugs, for example
10 snake venom -- I mean, these drugs are made
11 available, anti-venom. They're not sitting on a
12 shelf somewhere. Basically, what happens is that
13 there's a distribution network set up, and
14 principally, it's done that way because it's just
15 not cost effective for places to buy it and have it
16 on the shelf.

17 We do the same thing with intravenous
18 artesunate for malaria for immediate use. And the
19 turnaround time from requesting the drug to
20 actually having it in the vein is typically under
21 seven hours because it's prepositioned by the CDC
22 all over the place.

1 Those are possible ways of doing it. And
2 I'm kind of curious as to -- those are all done
3 principally for economic reasons more so than
4 anything else by the sponsors, and how much
5 leverage could the FDA have on that as making that
6 as a postmarketing requirement? That way, there's
7 a little bit more control over the potential use of
8 the drug and also gathering the data back
9 afterwards for postmarketing surveillance reasons.

10 DR. COX: So it is possible under
11 accelerated approval or an animal rule approval to
12 have various restrictions in place to assure the
13 safe use of a product. The thing that Dr. Weina is
14 mentioning is the CDC's IND. And I'm talking about
15 an IND because this was published in the Morbidity
16 and Mortality Weekly Report several years back,
17 where they have the availability of IV artesunate.

18 It is a model, and it probably merits some
19 additional comment and discussion from others as to
20 how that would meet clinical needs out there of
21 patients, but it is a model.

22 DR. BADEN: And clofaz. I mean, they're

1 different agents prepositioned that way.

2 Dr. Daskalakis?

3 DR. DASKALAKIS: This may be in the same
4 vein, which is beyond the snake-venom model, are
5 there any other regulatory mechanisms that limit
6 the use of a drug to salvage?

7 DR. COX: It's a tough definition. What we
8 usually have written in indications when we've been
9 in situations like this have been things like the
10 bedaquiline label for treatment of tuberculosis,
11 where there was a mortality imbalance in the study,
12 the major study that supported approval.

13 We said use this drug for the treatment of
14 tuberculosis when an effective regimen cannot
15 otherwise be constructed. So because of the
16 available data, what we had at that point in time,
17 and also the consequences of inadequately treated
18 tuberculosis, we thought there was an important
19 role for the drug. But that role was when, in
20 essence, you couldn't otherwise construct a drug
21 regimen.

22 So we can describe that. And there's

1 probably an important role, too, for the greater
2 healthcare community, and physicians, and
3 hospitals, and such, formulary committees, but we
4 can certainly provide the information about where
5 we think the benefit-risk is appropriate. And if
6 there are certain procedures that are necessary in
7 order to assure the safe use of the product, we
8 could do that. It is a complex scenario with the
9 acutely ill patient.

10 DR. BADEN: Dr. Green?

11 DR. GREEN: I wanted to address the first
12 part of your sentence for question 1, which is,
13 discuss the unmet medical need for single-species-
14 specific products.

15 So first off, I just thought we should
16 comment on that. And actually, I don't know that
17 there's any need for a single-species-specific
18 product, but there is a need for our drugs that use
19 novel targets. Right?

20 So if it turns out that because this species
21 has a unique target, that that becomes attractive
22 and also identifies a potentially efficacious route

1 towards antimicrobial therapy that's really only
2 due to one organism because that's the only
3 organism that has it, then I think, yes, it's fine
4 to do single species.

5 But bacteria tend to be genetically related
6 over time. So I don't know how many true targets
7 are really only in one organism. So maybe this
8 outer membrane target in Pseudomonas is really only
9 in Pseudomonas, so it is sort of unique. But you
10 wonder if it's really going to cross-react with
11 other bacteria.

12 The beauty of a single-species drug, I
13 guess, is that you could only select for resistance
14 in one, and that potentially when you're using it
15 to treat Pseudomonas, you're not impacting anything
16 in the GI tract or the respiratory tree that wasn't
17 your original focus of treatment.

18 So I really think it's really important to
19 get that because even the concept, I think, is sort
20 of novel, and it's really dependent upon new
21 targets. We definitely need to have unique targets
22 that aren't related at all to what we're using

1 because if you look, things like tigecycline,
2 really are just tetracycline taken to the organic
3 chemistry lab and manipulated.

4 So it's really fairly close, and then all
5 the cephalosporins, and penicillins, and
6 carbapenems, and monobactams are really one drug
7 class even though they have different names. So
8 they really are all at risk to expanding change.

9 Having said that, additional recommendations
10 you might have for developing such products, just
11 to kind of I think review what we've already said
12 is, I would comment that the non-inferiority may be
13 the way to go even though 3 out of the 4 public
14 speakers really were suggesting that non-
15 inferiority was really an inferiority study.

16 I don't know that that's true. Right? The
17 error bar can go in either direction, so there
18 shouldn't be necessarily, in my mind, a premise
19 that if something goes by non-inferiority, you're
20 planning to accept something that works less well
21 because it could work better. I think it's just a
22 statistical strategy to try to make studies

1 feasible in terms of accruing enough patients.

2 So I really wanted to at least express my
3 thinking that differed from the comments that we
4 heard from the first three speakers during the
5 public comment expressed.

6 There's no guarantee that doing non-
7 inferiority means that you're accepting inferior
8 drugs. I think what you're really trying to do is,
9 as you said earlier, to have more available weapons
10 on the table because what we think is happening
11 over time is our currently available weapons are
12 becoming inactive. So you need to have unrelated
13 medications that are readily available to go.

14 DR. BADEN: So Dr. Green, just to follow up
15 on your initial comment, since you made many, the
16 unmet need is to treat serious life-threatening
17 infection that we currently can treat, which does
18 not require it to be single-species targeted.

19 However, wouldn't we be better off if our
20 antibiotics only targeted the bug of interest
21 versus totally alters the microbiome
22 indiscriminately?

1 DR. GREEN: We would if we only had single
2 organisms to consider with our initial choice of
3 treatment or in our infections. So in the spaces
4 that we're thinking about, as has already been
5 discussed, for pneumonia, particularly associated
6 with the ventilator, I suspect that at least a
7 reasonable amount of the time, it could be a truly
8 polymicrobial infection.

9 That is to say if you actually took that
10 patient's lungs out at the time of the infection,
11 gave them a new lung transplant, and they got taken
12 care of by Joanna, and you looked at their old
13 lungs, you could find many different organisms
14 living in the lung at the same time, so true
15 polymicrobial infection; and then trying to be able
16 to not use four or five different medications to
17 cover all the possibilities.

18 So I think if you know that there's just a
19 single organism growing in the blood, and you know
20 that that organism's name is Pseudomonas, and you
21 had a drug that only worked for Pseudomonas, that's
22 great, but if the patient is sick up front, you

1 don't know, obviously.

2 DR. BADEN: I will ask that since the hour
3 is late, that we keep our comments targeted as
4 well. So your point is well taken. Your point is
5 well taken.

6 Dr. Weina?

7 DR. WEINA: Just to follow on your point,
8 you made the point yourself in which you said that
9 it would be great, but we're trading syndromes
10 here. When a patient comes in sick, it's not we
11 throw on the biggest gorillacillin that we have
12 until we know what we're dealing with, and then we
13 narrow our focus. I mean, if we knew what it was,
14 then a single-species-specific drug would be
15 absolutely wonderful, but we don't have that
16 capability.

17 DR. BADEN: Dr. Marks?

18 DR. MARKS: Yes, just two quick areas that
19 we talked about. One was can sponsors preposition
20 drugs and work with public health agencies to make
21 sure that limited-use agents are available. And I
22 think the answer to that is yes. The problem is

1 how long you want to wait? Is that seven hours for
2 an anti-serum the same as it is for a documented
3 bacterial infection in the hospital.

4 Then again, it's what risk you're in in
5 terms of whether you're in a community hospital or
6 whether you're in a major regional transplant
7 center who might well see this from time to time.
8 So I accept there's some trade-offs there.

9 The other pieces was to act on the
10 superiority/non-inferiority. That tends to be
11 bouncing around a lot. I think, for sponsors, we'd
12 love to do superiority trials. That would be
13 great. And if we had something that was going to
14 be able to move above and beyond, certainly it
15 would be in the best interests of science,
16 et cetera, patient care to do that.

17 But Dr. Bennett hit the nail on the head in
18 terms of how infrequent a truly pan-resistant
19 organism is versus a multi-drug-resistant. So if
20 you're going to construct a control arm, you're
21 going to try to construct a control arm that is
22 active. The ethics dictate that you try to give a

1 control arm that is active in comparison to your
2 new agent. And the number and frequency of the
3 truly pan-resistant organisms are so sporadic and
4 infrequent that trying to run those trials makes
5 them prohibitively long.

6 Then again, just to build on the non-
7 inferiority concept, we are not going in trying to
8 find inferior drugs. We would like for the
9 observed estimate to be on the good side of the
10 control arm. And even if you have something that
11 the observed is slightly on the smaller end, it's
12 only going to be a few percentage points because
13 the non-inferiority margins are going to prevent
14 you from falling below that. So the observes are
15 going to be very close to each other, even if you
16 move out to these 15 and 20 percents.

17 So we're not looking for agents that don't
18 work. We're actually looking for agents that are
19 improvements upon what we have. These are
20 fail-safes to prevent us from putting out agents
21 that truly look inferior to the others.

22 DR. BADEN: Dr. Honegger?

1 DR. HONEGGER: My comments were a lot of
2 what Dr. Green had to say already. The one point I
3 have is that we're thinking about these clinical
4 trials in the context of a drug that's needed in a
5 dire situation for a multiple-drug or MDR/XDR type
6 scenario.

7 But what you brought out about the long-term
8 benefit of a super narrow-spectrum agent is that
9 preventing perturbing of the flora and selection of
10 resistance has long-term benefits. And there may
11 be a desire to use these drugs in organisms that
12 are sensitive to other agents, in which case there
13 could be a broad use of these agents.

14 So that should probably be considered as
15 these are tested for approval.

16 DR. BADEN: Dr. Goetz?

17 DR. GOETZ: A brief comment. I'm wearing my
18 antimicrobial stewardship hat. And I think that
19 there's a very strong role and statement to be made
20 in favor of the single-use agent generically, where
21 available, in de-escalation for decrease
22 perturbation of flora. I think the antimicrobials,

1 too, which are programs complete, will inevitably
2 play a strong role in the use of any agents we are
3 discussing for the foreseeable future, not only
4 because of that, but because of the economic
5 considerations regarding the use of these drugs.

6 DR. BADEN: Dr. Follman?

7 DR. FOLLMAN: This is in response to
8 something Dr. Marks and also Dr. Green mentioned.
9 They were making the point basically that the error
10 bars will be larger with a larger non-inferiority
11 margin. And some people were talking as if
12 therefore all the drugs will be inferior that come
13 through.

14 That's not necessarily the case. As they
15 pointed out, they could be superior. They could
16 have a modest benefit in terms of mortality. The
17 point is, we won't really know. And I think the
18 hope that we can get such knowledge through
19 postmarketing surveillance and so on won't be
20 realized, either. So you prove something, could be
21 better, a bit better, could be a bit worse, you
22 just won't know.

1 DR. BADEN: Ms. McCall?

2 MS. MCCALL: Now I know why I'm at this
3 ADCOM. In 2010, I was diagnosed with atrial
4 fibrillation after a year of misdiagnosis. I
5 failed a couple of meds, and I went in for a
6 catheter cardiac ablation.

7 I was discharged the very next day. It was
8 my very first inpatient stay ever. I was
9 discharged, and I had a low-grade fever that day,
10 but they thought it was -- because literally my
11 hospital room was a sauna. It was scary.

12 Three days later, I'm running 104 fever,
13 sitting at the front door of my primary care's
14 office going, "Please see me." I had a raging
15 Klebsiella UTI.

16 Over the next three months, which is
17 supposed to be my blanking period for my ablation,
18 where I'm supposed to heal, I had not only that
19 UTI, I had a sinus infection. I had pneumonia. I
20 had a second UTI. My ejection fractions were
21 dropping. My kidney functions were not very happy.
22 And I was on subsequent antibiotics for three solid

1 months. You can imagine what my GI tract was like.
2 It was not fun.

3 Eventually, I did get ahead of the circle of
4 infections. My heart settled. In the middle of
5 all of this, my poor heart went from proximal a-fib
6 to persistent. I was in 24/7 and highly
7 symptomatic. All of this happened while I was
8 trying to maintain a therapeutic INR on warfarin.
9 What part of fat chance is unclear?

10 Eventually, I did convert. I got off the
11 antibiotics. I stopped the infections just before
12 my EP wanted me to go through a second catheter
13 ablation in six months.

14 That's why I'm here. This is difficult.
15 Sure. You want a single species to hit the single
16 critter, because that's what I had. They knew
17 exactly what bug I had the first time. But as it
18 got worse, and I kept having one infection after
19 another, it was more and more bugs. So then what
20 do you do? It was hard. And I have a lot of
21 sympathy for my GP going through this.

22 Now, you jump forward -- and I've been on

1 multiple ADCOMs, and I want to address the non-
2 inferiority portion. Quite a few of the
3 medications in the cardiovascular space, where I
4 normally sit, are non-inferior trials. We're
5 comparing one drug to another, an old drug that's
6 been around to a new one.

7 As a patient, I look at risk versus benefit.
8 Is this at least equal to what we already have?
9 Does it offer something the old drug doesn't? And
10 that's what patients need. And I would think
11 clinicians as well would want options. As these
12 bugs get harder and harder to kill and the broad
13 spectrums are getting more and more difficult, you
14 need options. And I think we can find a happy
15 medium with some of the options that have been
16 presented today on how to do these clinical trials,
17 and I think that's really important.

18 So I am not as sold on the non-inferior as
19 bad. Sometimes that's the choice we have because
20 these things are so very rare.

21 I do want to address one other comment that
22 was made in the public portion, which is about the

1 informed consent. I've seen some of these.
2 Sixteen pages is insane. I mean, come on, how many
3 of you download software and actually read the user
4 agreement before you click yes?

5 We're looking at those, and these are in
6 words we don't even understand. If someone could
7 please come up with one of these that's shorter and
8 easier -- I know, they'd have to do something with
9 lawyers. I get it. But we need that assistance
10 because, in the long run, most patients want
11 quality of life over quantity.

12 If we're sick, if we're suffering from
13 horrible side effects, what's the point? We want a
14 better quality of life. And I think we can find a
15 happy medium with these single-species products.

16 DR. BADEN: Thank you. What I will propose
17 is that we start with Dr. Marks. For 30 to
18 60 seconds, what do you think the most salient
19 issues are for question 1 for the FDA to consider.
20 As we move around the room, you don't necessarily
21 need to reiterate what others have said; You can
22 quickly acknowledge. And that way, we all can give

1 our thoughts to the agency.

2 DR. MARKS: So in terms of the unmet need, I
3 think it's clear we need the single agents. And I
4 appreciate the agency having a series of meetings
5 trying to get us to this approach and find new ways
6 forward.

7 HIV was mentioned earlier. It wasn't easy.
8 But we worked together and we found solutions now
9 that are durable for patients. I think the same
10 thing can be true here.

11 Even our broad-spectrum gorillacillins, as
12 it was put earlier, oftentimes have low percents
13 when it comes to these two particular pathogens, so
14 we need to be able to augment and supplement the
15 armamentarium we have around this. And I hope we
16 can find a pathway through this that will allow
17 that to occur.

18 DR. HILTON: It was mentioned that these
19 will be event-driven trials. And to that end, if
20 we could come up with a composite endpoint, we
21 might get more events and be able to complete the
22 trials earlier.

1 I also feel that, prior to running an RCT,
2 we should do a preliminary study to estimate the
3 prevalence of patients who are susceptible to both
4 of the study arms that would be studied so that we
5 can guesstimate what the accrual period would be.

6 DR. WEINSTEIN: I think the agency, as
7 mentioned by the IDSA representative, has done an
8 excellent job of surveying the options. And I
9 think that, based on the discussion that I've
10 listened to day, there clearly are no easy answers.
11 I thought that the fourth speaker in the public
12 session, Dr. Rex, put the problem and the potential
13 solutions in an excellent perspective.

14 With regard to clinical trials, at least for
15 bloodstream infections, the rapid diagnostics
16 revolution is really going to help us in this
17 arena. There's already an FDA-cleared direct-from-
18 blood test that will identify the organisms of
19 interest within one to two hours. And there will
20 be the ability to have phenotypic susceptibilities
21 with that assay within six to seven hours.

22 There is another company that currently has

1 in clinical trials a direct-from-blood assay that
2 will identify all of the ESKAPE -- if the clinical
3 trial is successful, will detect all of the ESKAPE
4 pathogens within seven to nine hours.

5 So this stuff is coming, and it's going to
6 help us over the next two or three years.

7 DR. MOORE: Dr. Moore. I think
8 Dr. Weinstein's statement is really the crux of the
9 matter, and that is until there's a reliable and
10 robust method for proving that organisms like
11 Acinetobacter is the culprit of the patient's
12 infection, then any clinical trial of any agent,
13 whether it's done as a path to FDA approval or a
14 post-approval field study, is somewhat doomed to
15 failure.

16 Having said that, you're going to have to
17 enroll an enormous number of patients to be able to
18 find the patients that actually have that
19 infection, and then those that have an infection
20 where you can see the difference.

21 I've just been giving this a lot of thought,
22 and I think the best option for the FDA that I

1 would recommend would be some version of the
2 Emergency Use Authorization Act, which is used for
3 public health emergencies for bioterrorism events.

4 There are four criteria that are required in
5 order to meet that act. One is that the condition
6 has to be a serious or a life-threatening disease
7 or condition. The other is they have to have
8 evidence of effectiveness of the agent either
9 through animal model or what have you. Then you
10 have to have obviously risk-benefit analysis and
11 that there are no alternatives available.

12 I think a lot of those four criteria can be
13 met with things like HAPB/VAPB due to
14 Acinetobacter, where the mortality rate is
15 significantly high and where colistin is used, that
16 has in and of itself a high morbidity mortality
17 rate, when the organism is susceptible to it.

18 I don't see any other way. I think in order
19 to entice pharmaceutical companies to come to the
20 table and develop drugs against these very
21 difficult pathogens, rare and deadly pathogens,
22 you're going to have to allow the acceptance of

1 less than optimal data or less than ideal clinical
2 trials.

3 DR. SHYR: Yu Shyr from Vanderbilt
4 University. My suggestion is, first of all, big
5 picture, move toward precision medicine direction.

6 What precision medicine is, you change a
7 bell-shaped distribution, correspond to response
8 rate or mortality rate, move that to rectangle,
9 called a uniform distribution. Your target for the
10 patient most likely will be success, and then that
11 is the one way.

12 How you do that, again, I already mentioned
13 from my discussion, you may really need to have a
14 registry. You may need to have a consortium
15 network, and because your endpoint is
16 28 [ph] mortality, it's not that hard to collect
17 that, to get a current trial approved to study, get
18 the rarity of a large database to study who may or
19 may not be likely to respond to active control.

20 Once you identify that subgroup, that may
21 create a window from the sponsor side to design a
22 superiority trial. At least we understand which

1 group of patients may not have a good outcome based
2 on their patient characteristics. Therefore, we
3 may use that patient as our study population.

4 Number two, rethinking about a fixed N1/N2
5 margin, 10 percent, 20 percent, use the relative
6 risk concept. Not all active controls will always
7 have that 20 percent margin compared to placebo
8 effect.

9 Usually, the relative risk concept -- I know
10 this may not be well taken in this community, but
11 start to think of the research. Use the relative
12 risk concept to do some simulation to see will that
13 reduce the total sample size.

14 For instance, if your drugs or active drug
15 really act very, very well, you reserve 85 percent
16 of that active drug, the efficacy, you may end up
17 having a smaller sample size. So think about don't
18 fix that 10, 20 percent, but use relative risk just
19 by the combination.

20 The third is please allow the flexible
21 design into your entire design set-up. Flexible
22 design including, as I said, is a hybrid design.

1 Do I really need to use all the trial, from my
2 randomized trial? Is it possible to borrow the
3 information from the existing information to
4 strengthen my final interpretability?

5 The very last comment I have to mention is,
6 again, non-inferiority trial design does not want
7 to prove the drug is inferior. As all of you know,
8 from your comment, we base it on the confidence
9 interval. Most non-inferiority trials, the upper
10 bound is across zero. That means it's not really
11 inferior.

12 So I wanted to assure everybody in the
13 audience a non-inferiority trial is not to design
14 it as inferior. It is the worst-case scenario. We
15 are not much worse than that amount. But in a lot
16 of cases, it was a chance even better than that. I
17 will stop there.

18 MS. MCCALL: Thank you, Dr. Shyr, for making
19 one of my points about non-inferiority. I also
20 agree with Dr. Rex's points. And I really like the
21 idea of pulling in a mini-sentinel and using data
22 partners for more data and hopefully getting more

1 robust numbers.

2 DR. ANDREWS: I think that we're all
3 circling around how to make the best use of less
4 than ideal evidence and when you can pull the
5 trigger and say, yes, we approve it.

6 I'm not in a place where I can have an
7 intelligent conversation about that, but in terms
8 of when you do, I think there's a general consensus
9 that that should not be the end of the research.
10 And you need to find a way, get creative -- maybe
11 you need to bring in some behavioral economists or
12 something to talk about incentives to ensure that
13 that happens in a way that you're going to feel
14 comfortable with that's not just anecdotes.

15 There are lots of ideas. I think you need
16 to get flexible about the design. You should use
17 it as a menu and take a little from column A and a
18 little from column B if you need to, to make a
19 study that works for the drug that you're looking
20 for. I don't think you're going to get a recipe
21 from us. We don't do that.

22 On the non-inferiority, I was remembering

1 that one of the first drugs that I looked at, and
2 that I was here in this committee looking at, was
3 something for MRSA that was non-inferior. As a
4 matter of fact, I remember it being kind of
5 lackluster and I remember being surprised, why are
6 we just talking about a drug that's no worse than
7 what's there.

8 But it moved people out of the hospital.
9 They didn't have to be there for 14 days getting an
10 IV. They could get an injection on day 1 and on
11 day 7, and they could go home. People with MRSA
12 left the hospital. That's better for them. That's
13 better for the person in the bed next to them.
14 It's probably better for the bottom line.

15 So just because something is labeled
16 non-inferior, it may be actually a real innovation.

17 DR. CLARK: The development proposals
18 presented, I think non-inferiority studies are
19 reasonable for the reasons that were already
20 described. And I appreciated the public comments
21 against these, although perhaps there wasn't as
22 much of an appreciation of how marginal or toxic

1 the available therapies are for some of these
2 infections.

3 I think having better-defined patient
4 populations such as the bloodstream infections
5 would be helpful and, again, building up clinical
6 trials' networks, having centers that are able to
7 do these trials, and perhaps notifying patients in
8 advance, like my population, transplant patients,
9 so we have them tuned into these kinds of studies
10 when they're in need.

11 The other thing I would say is, coming into
12 this, I thought perhaps postmarketing rules or
13 requirements for studying drugs would be somewhat
14 of an answer, but that seems less clear to me now.
15 So that influences me a little bit more to have
16 some clinical data before drug approval.

17 DR. OFOTOKUN: I also agree with all that
18 has been discussed, and I think the issue of design
19 seems to be well-ironed out. And I think, again,
20 non-inferiority study design would be informative
21 in this setting, like everybody else has said. We
22 would need good pre-clinical data before we move

1 forward with approval.

2 The one main comment I wanted to make was
3 about the quality of the animal data. I don't
4 think we discussed this very extensively. My
5 background is in HIV. We learned a lot from the
6 non-human primate model. A lot of the data that
7 were generated before drug went into clinical
8 trials were generated from the non-human primate
9 model.

10 I think somebody raised that issue the issue
11 of both efficacy as well as safety and toxicity, we
12 can be well informed by using a good non-human
13 primate model, regardless of the cost, and I think
14 especially in this situation where we know that
15 it's going to be very difficult to recruit the
16 number of patients to achieve the kind of quality
17 clinical data that we need. We definitely need to
18 be certain that all these studies that are done in
19 animal models, we're using the best animal models
20 that are out there.

21 DR. DASKALAKIS: Demetre Daskalakis, New
22 York City Department of Health. So from the

1 perspective of unmet medical need, I think that
2 there are not enough antimicrobials that treat
3 highly resistant infections, so it's clear that we
4 have to prioritize this. In many cases, single
5 species are the problem in this setting, so I think
6 it's reasonable to pursue a single-species product.

7 From the perspective of the development
8 proposals, I want to agree about the idea of a
9 menu. And I think that when a drug is being
10 evaluated, just like we've heard about in these
11 development proposals, that it should demonstrate
12 some efficacy and safety in an animal model that
13 approximates human disease, and that a small non-
14 inferiority study should be adequate, then, to move
15 the drug on with the agreement that there then will
16 be some postmarketing evaluation.

17 So I think that in place of a large clinical
18 study that may not be possible, several small
19 components of study would be necessary with some
20 very clear guideposts as to what is an adequate
21 outcome. Thanks.

22 DR. CORBETT: Amanda Corbett, University of

1 North Carolina. So I agree with everyone. I think
2 there's clearly a need, and I think that this is
3 clearly a public health emergency. I mean, it has
4 been.

5 I think there are at least two potential,
6 likely more potential agents, that could be
7 approved, and we've heard about both of those
8 today. So I think no matter what it is, we need to
9 find a way to get these if they truly are safe,
10 number one.

11 So to me, I'd think of safety almost perhaps
12 even a little bit higher in clinical data than
13 efficacy at this point simply because I guess what
14 we would not want to happen is -- yes, we know it's
15 effective in vitro. There are reasonable animal
16 models, which I understand are not necessarily well
17 developed for every sort of disease state for these
18 organisms. But if we can show that at least
19 they're effective -- we need to ensure that we at
20 least can predict some sort of level of safety, and
21 know that if people need these, a lot of the
22 distributed ways that people have described I think

1 are very reasonable -- we will get it to the
2 patient.

3 I know, if it were me, I would say if that
4 is my only option, I would surely like to have that
5 medication available. But I would like to know
6 that at least it's been evaluated fairly
7 strenuously from a safety standpoint.

8 Also, I'm sure this has been discussed and
9 thought of, but HIV is also my background, so I
10 think we really did as a community come together
11 with multiple governmental agencies as well as lots
12 of individuals, academia, industry, networks, NIH,
13 CDC. Everyone came together and realized that this
14 was critical.

15 So I would just hope that our community with
16 government, public sector, industry, would really
17 support industry to help make these things happen
18 because it is a huge situation, perhaps a huge
19 financial burden and risk to them as well. And I
20 just feel that's really important.

21 Then finally, I think Dr. Shyr sort of took
22 this already, but precision medicine, I know that's

1 not going to get these drugs to market and show
2 their safety and efficacy, but I think it's equally
3 and parallel important. I know a lot of folks are
4 thinking about that.

5 Our school of pharmacy is really, really
6 thinking a lot about precision medicine. So
7 finding a way to making sure these agents are used
8 at the most appropriate doses in whatever
9 population that they are studied is also equally
10 important.

11 DR. WEINA: Pete Weina, Walter Reed. Today,
12 we're asked to focus on a very limited set, and
13 we're just being asked about two organisms right
14 now. But in the not-too-distant future, and I'm
15 talking the next couple of years, we're going to be
16 asked to discuss this about a broader and broader
17 set of organisms and more and more multi-drug-
18 resistant problems.

19 So whatever we propose here, we have to be
20 thinking about the second, third, and fourth order
21 effects of anything we propose or anything that the
22 agency decides to accept. And that comes down to

1 managing expectations.

2 So the first thing is we have to, first of
3 all, redo our thinking. We have to think about
4 what's the FDA's role and what's their liability.
5 What role does the FDA actually have in approving
6 these drugs? Is this a shield that people can
7 continue to hold up and say, "Listen, the FDA
8 approved this thing, so it's a good thing," or are
9 we going to lower the standards to such a degree
10 that more uncertainty for the approval means that
11 more risk is going to be accepted by the user for
12 these drugs, and is the labeling going to be
13 enough?

14 We have things with black boxes and people
15 still say, "Well, I didn't know about that black
16 box," or, "I didn't read that black box," even
17 though it was all over the place.

18 We're in a zero-defect society, and do we
19 need a change? We're not necessarily going to
20 change society's understanding of what zero defect
21 is, so I feel a little queasy about lowering the
22 standards, if you will, for approval of

1 medications.

2 In that light, I kind of thought about,
3 first of all, when we design these things, how
4 would a single-species drug be used in the real
5 world? As has been said many times, let's look at
6 an organism that's 2 percent of all the
7 gram-negative infections that happened in this
8 population X.

9 Well, if it's less than 2 percent of all the
10 gram negatives, that means it's much less than 2
11 percent because it's not just the gram negatives;
12 there's also gram positives, and there's viruses,
13 and there's a variety of other things that are out
14 there already.

15 So when a patient presents as being sick,
16 the first thing we do is we throw the biggest,
17 baddest, broad-spectrum agent that we can at them
18 until we can get a diagnostic. And we sit around
19 and we wait for that diagnostic, and then we have
20 to sit, as has been pointed out by Dr. Bennett, and
21 decide is this just hanging around, is this just
22 basically there, or is it actually causing the

1 disease? Or even worse, when these cultures come
2 back, they typically come back with multiple
3 different organisms, and you sit there and you say,
4 well, which one is actually doing the problem?

5 So you change the therapy, and the patient
6 does well, and you narrow it down, or they do
7 worse. And if they do worse, then you put a
8 different antibiotic on. So you can see how this
9 quickly spins out of control.

10 A single-species drug, when we design the
11 trials, we have to think about how is it actually
12 going to be used. It's not going to be, "Oh, my
13 God, yes, it's Pseudomonas. Let's throw them on it
14 first thing."

15 So I would encourage those two points.
16 Think about the real-world application, and also if
17 we're going to change the standards, think about
18 what the second-, third-, and fourth-order effects
19 are going to be on the credibility of the agency.

20 DR. BADEN: We're dealing with evolution.
21 We need to be adaptable as well. That's a
22 challenge, given the structure of regulation.

1 There is a significant unmet need. It's small, but
2 it is quite serious, quite severe.

3 I think, in reflection to Dr. Weina's
4 comments, we have to balance global public health
5 emergency versus a very targeted but very severe
6 set of problems in certain circumstances, and how
7 do we deal with moving a medication forward that is
8 designed for targeted use versus broad use, and how
9 do we develop a dataset to enable us to convince
10 ourselves that there's actual efficacy?

11 I think that the issue of precision
12 phenotyping is critical. I worry when we do
13 studies of syndromes that it's very difficult to
14 discern efficacy because the syndrome is noisier
15 than the event rate. And that requires greater
16 thought on our side as to how we design the studies
17 and the diagnostics, or the other advancing
18 technologies that we need to better understand to
19 sort out how to deploy. But I am dubious of
20 inferring efficacy when the syndrome has more noise
21 than the margin of efficacy we're looking for.

22 The issue of incentives I think is something

1 else we have to think seriously about. We need
2 developers to be willing to take the risk to
3 develop compounds, otherwise, we shouldn't be
4 surprised if we have no compounds.

5 So there has to be a path that developers
6 can move through that can enable us understanding
7 efficacy. And I accept other comments that we have
8 to be careful that it will be misused. On the
9 other hand, we must develop compounds that provide
10 new options, or else we'll be caught short with the
11 next emergency that's highly transmissible.

12 One of the challenges that is implicit is
13 the definition of the limited dataset that will
14 establish the efficacy and whether we use different
15 statistical techniques versus a much smaller end
16 but much more carefully defined, that there are
17 different ways to deal with defining a limited
18 dataset.

19 But in the setting of a true unmet medical
20 need with a high mortality that's carefully
21 defined, one can have a carefully defined study
22 with evidence of efficacy and not have to manage

1 the expectations of the company, the scientists,
2 and the community when the product does emerge, and
3 as it reflects on the agency, not being perceived
4 as lowering standards as much as responding to
5 unmet need.

6 Different ways to deal with this has already
7 been mentioned from prepositioning to e-INDs, to
8 different ways. And I think that there are a
9 variety of potential ways to make it easier to the
10 studies, but I think that's a smaller set of
11 comments.

12 DR. GREEN: Thank you. Mike Green. So I
13 want to just begin by agreeing in general with the
14 comments that have been made by my fellow members
15 of the committee. Your thoughts, and comments, and
16 questions have really helped me to understand
17 better and have taught me much.

18 I want to applaud the FDA for their
19 longitudinal effort to really address this concern.
20 Obviously, the sequential way that you've
21 approached it in coming to us after you have done
22 your previous meetings have really given us a head

1 start.

2 I'm going to begin by agreeing with the
3 current approach of a menu, but I think it's clear
4 that we have to have human trials, and it looks
5 like it's likely these are going to be non-
6 inferiority trials, and I'm fine with that; the
7 larger, the better, but recognizing the potential
8 limitations.

9 I do want to raise a caveat about the role
10 of animal models for Acinetobacter because it
11 doesn't have much good models unless you manipulate
12 the host or the inoculum. If you manipulate the
13 host, it may not be applicable to the human
14 situation, which might mean that we turn down drugs
15 that are beneficial.

16 If you manipulate the inoculum, life is
17 confusing because many antibiotics have an inoculum
18 effect. So we again may not be able to judge truly
19 how well it would work in a human if you had to
20 give a log or 5-log extra inoculum to create the
21 model effect in the animal.

22 Also with the current approach in the menu

1 again, we've talked about it, whatever strategies
2 we can to get additional data after a drug could be
3 approved, not only to get, as I said earlier, the
4 safety signal that might be missed in small
5 studies, but also if there's a change in efficacy.

6 I want to reiterate and support the comment
7 that was made previously about composite endpoints.
8 This is particularly true when you're going to be
9 comparing to colistin. So you could be a bit
10 inferior in microbiologic efficacy to colistin, but
11 if you weren't nephrotoxic, you could really have a
12 winner drug.

13 So that's been done, I know, from at least
14 clinical studies of anti-fungals where drugs of
15 choice that have come out by guidelines have been
16 based really on composite endpoints, so I really
17 endorse the person who said that.

18 Then just a strategy that may be of value
19 for centers that might be participating in studies,
20 sadly, many of the patients who get infected with
21 these organisms have been infected in the past, and
22 so there are the opportunities for centers who

1 might participate in these studies to identify
2 literally dozens of patients who have had these
3 organisms previously.

4 It's clearly a clinical strategy we all use
5 at the bedside. When we have a sick patient, we
6 see what we've had before. And more times than
7 not, if we cover those bugs, we're going to
8 identify the pathogen that they have. Thank you
9 very much.

10 DR. GRIPSHOVER: Hi. Barbara Gripshover. I
11 agree with most of what the panel said, too, and I
12 feel also that I've learned a lot from all of you,
13 and also reviewing the prior workshops. Like
14 everyone said, there's clearly a need for these new
15 drugs, especially drug-resistant pathogens.

16 Just one thing for me that I would really
17 like to emphasize is I think that maybe we
18 could -- I also came from the HIV world, where a
19 lot of our drugs were approved by optimized
20 background regimen plus the drug. And good drugs
21 do show a big difference. And if we're talking
22 about, really, high mortality infections, I would

1 think if we really got people with resistant
2 bacteria and you had a drug that worked, we should
3 actually be able to see a difference.

4 So if maybe we could establish some kind of
5 a control again, whether that's a cohort of
6 HAPB/VAPB patients going forward, and maybe
7 different agents could be tested depending on what
8 pathogen it was in real time, you'd have those
9 controls.

10 So I appreciate what the agency says about
11 how these are sick patients that have a lot of
12 other co-morbidities. It's hard to sort out what's
13 the drug, what's the disease. So if we had a good
14 control group to go with them, and then we added in
15 the drugs in the drug-resistant group, we might
16 really be able to see through superiority, too,
17 just as another way to go forward.

18 DR. FOLLMAN: Thanks. Dean Follman, NIH. I
19 thought the discussion today was very good, and I
20 think we all learned a lot. I wanted to make a
21 couple comments.

22 I think for these drugs, there is an unmet

1 need, but we need to do human studies. I'm not
2 very optimistic or think the animal studies will be
3 reliable. Maybe we'll talk a little more about
4 that later. I think everyone would prefer
5 superiority studies, so I'll take that as a given
6 and talk a little bit more about the non-
7 inferiority trials.

8 I think the 20 percent margin is kind of
9 large, larger than I would like, and I've done a
10 calculation that says if it's 10 percent worse,
11 there's 1 chance in 4 you'll approve such a drug.

12 Such a drug, I know some of the drugs will
13 be better than that. Some of the drugs will be
14 worse than that. But basically, with a margin like
15 that, you'll be approving stuff, and you just won't
16 know what you have.

17 I don't believe that there will be the
18 ability, really, in the postmarketing studies to
19 discern that. So we all want more drugs, but we
20 all want drugs that work, and I think this is a way
21 to just get more drugs without ever being able to
22 know whether they'll work or not.

1 Two more comments. One, if we do non-
2 inferiority studies, it'll be a limited number.
3 We'd like to expand the safety database in patients
4 who aren't healthy that have similar diseases. So
5 if we could enroll patients who have, say,
6 non-bloodstream and non-lung infections to the new
7 drug versus a comparator just to see not so much
8 efficacy, but to look for safety signals in that,
9 that could augment the safety database relative to
10 just looking at safety of the drug in healthy
11 people, which I think is not very informative and
12 not comparative.

13 Then the final point I'd like to make is
14 related to something Yu Shyr brought up, to where
15 we should try and look for a benefit of the drug.
16 So in these studies, we can figure out the MIC, and
17 with pharmacokinetic modeling, we can predict the
18 AUC of the drug in a person depending on their
19 baseline characteristics. So we can create an MIC
20 over predicted AUC ratio and look for benefit of
21 the new drug versus a comparator for patients who
22 have the lowest value of that.

1 So look for a benefit of the drug in the
2 region where it's most likely to be seen.

3 DR. SCHAEENMAN: Hi, Joanna Schaeenman, UCLA.
4 I too agree with much of what's been said
5 previously. And I also want to praise again the
6 FDA for bringing us together to address this
7 growing crisis and, again, for their longitudinal
8 efforts. As the IDSA representative said, it is a
9 somewhat fearful time, and it's nice to know that
10 this problem is being addressed. I think that's an
11 important message to send.

12 In terms of the non-inferiority, again, I
13 think a lot has been said about it. I just wanted
14 to add a comment that I think we can address safety
15 within non-inferiority. Some of the study protocol
16 designs that were mentioned include using broad-
17 spectrum antibiotics in addition to the targeted
18 therapies. So I think there's a lot that can be
19 done to make these to be done safely.

20 As was mentioned previously as well, I'd
21 like to echo this because I think this is such an
22 important point. When the current standard of care

1 is such a toxic drug such as colistin, we'd be so
2 happy to have any kind of alternative, even if it
3 also had toxicities.

4 Right now, we're in a situation where if the
5 patient has high risk for nephrotoxicity, we have
6 no choice, and in addition, as was mentioned
7 previously, we often see a slow acceleration of
8 resistance. So to have even a single option would
9 be a great boon to the field, and I'm encouraged
10 that maybe there are things in the -- I forget what
11 is the term -- the narrow pipeline.

12 So I think that the menu, or I would like to
13 say the toolbox approach, is the way to go in terms
14 of really being creative in a challenging situation
15 where we really want to encourage and give guidance
16 to manufacturers to pursue these non-inferiority
17 trials because I think, as we're going to get to
18 with question 2, we would all prefer to see human
19 data leveraged as much as possible rather than
20 relying on animals.

21 I think the idea of trying to reexamine that
22 M2 target in non-inferiority is important. Maybe

1 20 percent is too high, but perhaps 10 percent is
2 too low. I don't know if I've come away with the
3 right number here today, but perhaps that's
4 something that could be really addressed in a
5 nuanced fashion.

6 I think the hybrid approach is very
7 promising as well as the close attention to PK
8 data, since that seems to be very predictive of
9 outcomes. I think that combining multiple small
10 trials that might have different sites of infection
11 is also very clinically attractive as well as what
12 was mentioned by Dr. Cox in terms of simplifying
13 trial enrollment, or maybe embedding a trial within
14 either an open label or an ongoing registry that
15 has a certain standard of care.

16 Last but not least, the idea of composite
17 markers or surrogate markers, as Dr. Ighov
18 mentioned earlier, I think that also might be
19 promising. It might even be a way to show
20 superiority, for instance molecular tests such as
21 procalcitonin or maybe clinical markers such as
22 SOFA score, length of hospitalization, length of

1 intubation.

2 All of these things might be pathways to
3 show improvements when the overall mortality, which
4 of course is the gold standard and the most
5 important thing, can be affected by all the
6 co-morbidities of our patients.

7 As I mentioned earlier, I think the limited
8 population pathway is attractive. Maybe I'm overly
9 optimistic, but I think that centers, and
10 hospitals, and antimicrobial stewardship programs
11 would take this very seriously. And I'm optimistic
12 about our ability within IDSA and in infectious
13 diseases to provide guidance and not to overuse
14 these agents. I really think the tide has turned
15 in that way.

16 I want to end by saying, as Dr. Clark
17 brought up, this difficulty of multiply resistant
18 organisms is especially dire in the situation of a
19 growing number of immunocompromised patients. Not
20 only is the number of transplant patients and
21 patients with cancer receiving chemotherapy
22 increasing, but we are treating older, and older,

1 and more and more complex patients. And as I've
2 mentioned to a few of you here next door in
3 Ballroom A, there was an FDA panel talking about
4 desensitization strategies in kidney
5 transplantation.

6 So it's almost like a perfect storm where
7 we've got these older and more complex with more
8 co-morbidities receiving more innovative
9 immunosuppression agents meeting head on with this
10 growing tide of resistant organisms. So clearly,
11 this is an emergency and something needs to be
12 done.

13 DR. HONEGGER: Jonathan Honegger. I agree
14 with many of the comments that were made and
15 appreciated the excellent discussion today. The
16 only one point I wanted to make at this time is
17 that one advantage of this single-species drug
18 development is that there are maybe unique
19 opportunities for synergy. If there are networks
20 that do HAPB/VAPB research and they take advantage
21 of rapid diagnostics, they could run multiple
22 studies concurrently, shifting Acinetobacter

1 patients to one arm and the Pseudomonas to another
2 trial without having competition between the
3 trials.

4 DR. LO RE: Vin Lo Re, University of
5 Pennsylvania. I want to thank all the discussants.
6 I want to thank the FDA for their efforts. I think
7 we clearly have unmet needs for rare drug-resistant
8 bacteria. We got Acinetobacter, Pseudomonas,
9 resistant to many or all antimicrobials. We're
10 faced with limited antimicrobial choices.

11 I think given the challenges of the
12 relatively small pool of potentially eligible
13 patients for studies, the uncertainty, and the
14 diagnosis, the concomitant empiric antibiotic
15 therapy that is a challenge, and then taking into
16 consideration the seriousness of the disease and
17 the mortality, I think we're asked here to consider
18 the options mainly prior to approval that would
19 provide the evidence that new therapies are
20 efficacious and safe in humans, prior marketing.

21 I think given the challenges with
22 superiority trials, I think non-inferiority trials

1 would be preferable for efficacy. I think
2 conducting studies in pre-defined populations of
3 patients with a single-species infection with more
4 diagnostic certainty, particularly for example the
5 bacteremic patients, would be important given the
6 challenges in the diagnosis of clinical syndromes
7 like HAPB and VAPB.

8 I would also support the development of a
9 single-species-specific antimicrobial clinical
10 trials network either nationally or possibly
11 internationally. That could help to train
12 investigators, to capture disease appropriately,
13 and that would allow valid conduct of clinical
14 trials, and pre-marketing and observational studies
15 postmarketing.

16 I think the fact that the Infectious Disease
17 Society of America was here, you could potentially
18 leverage the Infectious diseases Society of America
19 in a way similarly that the FDA leveraged the
20 development of the mini-sentinel program for both
21 pre-marketing and postmarketing purposes.

22 I think, if non-inferiority trials cannot be

1 conducted, I think to have maximum interpretability
2 of the efficacy and safety data, that requiring
3 data from animal models for efficacy and disease,
4 PK/PD studies in humans, phase 1, phase 2 studies
5 would be valuable; and then there would be some
6 need for some global assessment of data to
7 determine whether or not the drug should be
8 approved.

9 I think if the efficacy and some degree of
10 safety can be demonstrated pre-approval, I think if
11 there are any safety concerns or signals that are
12 observed pre-approval, then requiring postmarketing
13 pharmacoepidemiological studies would be valuable
14 for continued assessment of safety.

15 DR. GOETZ: Matt Goetz, UCLA, VA Los
16 Angeles. I'm left for the challenge of being very
17 near the end and of saying something more. Several
18 of the previous discussants have really very well
19 summarized the position. So again, I thank all the
20 FDA and thank all the presenters. It's been a
21 marvelous discussion.

22 In my mind, there is a very clear need for

1 drug development. We don't know when disaster will
2 strike, and we wish we had the drug. It could be
3 tomorrow. It could be next year. I hope it's
4 never. It won't be never.

5 A single-drug model, I'm in favor of that.
6 And as many have said, superiority is what we want.
7 Non-inferiority is what we can get. Having trials
8 that will test for superiority if non-inferiority
9 is satisfied is clearly one way of melding the two
10 of them. I wish to see superiority, but I know
11 that I'm very unlikely to.

12 We talk about non-inferiority, and as many
13 people have said before, we're likely to have
14 thresholds or perhaps 20 percent. We want
15 precision medicine, but it's a long time coming.
16 It's not tomorrow's solution. It's not next year's
17 solution. It's somewhere downstream. So we're
18 going to be left with vulnerability.

19 To mitigate our vulnerability, a development
20 of a strong animal model, several different animal
21 models, that provide substantive supportive
22 evidence will be very important, I feel.

1 Blending in data from other clinical sites
2 will be important, and I think development of a
3 prospectively collected registry or clinical trials
4 network, where you can collect data on patients who
5 may not be enrolled in studies now of these agents,
6 where we can capture the natural history of a
7 robust group of patients, and then look over time
8 as what happens when patients get data, and we use
9 this supplementary information in a fashion perhaps
10 somewhat similar that was done for isavuconazole
11 and the approval for mucormycosis. I think there's
12 lessons that can be learned from that, at least, I
13 hope there are. And I'll stop there.

14 DR. BENNETT: John Bennett, NIH. I've tried
15 to study rare infections, and those studies tended
16 to die. The people I wanted to look for in the
17 intensive care unit, the microbiology laboratory,
18 the emergency room, couldn't remember to tell me.
19 So what's a solution for that? And I'll use
20 urosepsis and Pseudomonas as an example.

21 So you tell the emergency room and the house
22 staff you want to look for patients with urosepsis.

1 So they tell you this patient, and you don't know
2 if the patient has urosepsis. It's a clinical
3 diagnosis, so you enroll them and you randomize
4 them.

5 Now, the blood culture, if it's going to get
6 positive, will be positive typically the next day.
7 And thanks to BioFire and MALDI-TOF, within a few
8 hours, we know it's E. coli. Oops, well, we take
9 them off the study or whatever the house staff
10 wants to give them.

11 If it's Pseudomonas, we don't know if it's
12 resistant, but we leave them on the study. And
13 then the susceptibility's come back two days later.
14 We'll have a subset of people with resistant
15 Pseudomonas, and we can see how they do, and we can
16 see how the other patients do.

17 So the idea is to keep the ball rolling.
18 Unless you do this, you just won't find out about
19 the patients. So that's my suggestion.

20 DR. BADEN: A quick summary of everyone's
21 comments, I've been charged to integrate the
22 integration. Tremendous unmet need. This unmet

1 need is increasing due to medical co-morbidities.
2 Complex to do the studies because of background
3 treatment, uncertainties of diagnosis.
4 Event-driven analyses are valuable and may need to
5 leverage composite endpoint.

6 We need to make sure we understand the
7 disease burden in the population with the disease
8 and potentially have synchronous contemporaneous
9 populations not in the study to be able to show
10 what the outcomes are doing. Diagnostics have been
11 commented on, incentives for industry.

12 The statistical issues, I suspect we can
13 never resolve between superiority, non-inferiority,
14 and historically controlled, but those have been
15 excessively discussed, and I think the issues are
16 palpable.

17 Animal data are complex to interpret, model
18 dependent. An NHP model might be of use, and it is
19 worth considering about to ensure safety, not just
20 in normal healthy people, but in those who are
21 diseased with co-morbidity to better understand the
22 safety signal. We need to manage expectations of

1 the community, of providers, of industry so that
2 people understand the path of development in this
3 space.

4 I think those are the major -- and then the
5 comment of just networks, maybe networks that can
6 be developed or leverage that could perhaps be
7 enrolling in multiple studies, and just depending
8 on what organism lights up the latest diagnostic
9 machine. And then it's messy, which was Jack's
10 comment.

11 So that's question 1. We now have
12 question 2. I realize there are some who need to
13 go to the airport sooner than others, so I would
14 like to take them out of turn. And I don't
15 remember; everyone who has to go to the airport
16 around 4:00 or 4:30, but I think we can start with
17 our California contingent.

18 So on question 2, Dr. Goetz?

19 (Laughter.)

20 DR. GOETZ: Now, after complaining about
21 being last, I get to be first. This is wonderful.
22 I just want to try to address A and B, types of

1 animal models and appropriate endpoints you think
2 might be useful to assess the efficacy.

3 We've had a lot of discussion this morning
4 about different animals are used for different
5 purposes. I think that when we get down to the
6 mouse model, I think of mice as being predominantly
7 the utility for defining PK/PD targets. And
8 clearly, if drugs fail in mice, we don't go
9 further.

10 Then the challenge comes in, in the previous
11 workshops in July and March. And I spent some time
12 talking about this, and I looked into those
13 materials. We obviously didn't have that
14 discussion here. But we clearly have to be
15 sensitive to every animal that we look at as
16 different than man in pattern-receptor recognition,
17 the inflammatory cascade that's set up. There's
18 susceptibility to inoculum of organisms, innate
19 immunity, adaptive immunity, and other ways.

20 We also have to be sensitive to the fact
21 that animals don't have the same underlying
22 co-morbidities that patients have when they get

1 these illnesses, so understanding how all these
2 factors interact is messy or complicated to say the
3 least.

4 But as has been pointed out by many
5 philosophers or statisticians, while all models are
6 wrong, some are informative, and that with
7 sufficient animal models, which are sufficiently
8 calibrated and validated, I'm willing to say that
9 animal models can supplement and buttress to a
10 large degree what we find in clinical trials.

11 If we have to go solely on animal data, the
12 case needs to be made in a very strong fashion.
13 And I don't think the case for the organisms we've
14 talked about today has been made sufficiently
15 strong to say that we rely solely on animal data
16 for approval.

17 But that gets me to point B. Might clinical
18 trials leave us with a signal that is ambiguous?
19 We've said, as we talked before, a non-inferiority
20 margin of 20 percent because that was judged to be
21 doable, feasible. Why do a trial if you're never
22 going to get to another trial? We're going to be

1 left with some syndromic definition, no matter how
2 precise we try to be, which will bias us towards a
3 no, as pointed out by others.

4 So in that situation, a panel such as this
5 will be convened and will gnash its teeth for
6 several hours debating it. The animal models won't
7 give us that shining bright light that says, yes,
8 obviously approved, but may give a very important
9 signal that allows us to be sufficiently confident
10 to say, yes, under limited conditions, we can go
11 forward with this drug, or say go back and do it
12 again.

13 Finally -- and maybe I should have brought
14 this up with the first point -- I think that
15 there's a postmarketing point still to be brought
16 up here. If I understood the FDA right, if an
17 accelerated model is used for approval, or if an
18 animal model is used for approval, much more
19 constraints can be put upon the manufacturer for
20 postmarketing surveillance. And I would really
21 like to see that be the case because I think it's
22 going to be very important to network with not only

1 clinical studies postmarketing, but also network
2 with large groups such as the VA, Kaiser, and other
3 organizations to look at the efficacy of the agent,
4 what's out there.

5 DR. BADEN: Dr. Schaenman?

6 DR. SCHAENMAN: I agree completely with how
7 Dr. Goetz has put the answer to this question very
8 eloquently, and I also appreciate Dr. Green's
9 comments regarding some of the limitations about
10 the animal models.

11 I just want to specifically address comments
12 regarding trying to make analogies to the previous
13 drugs that were approved under the Animal Rule
14 that's been mentioned a few times by the FDA. And
15 I wanted to suggest that I think that these two
16 situations are not analogous.

17 I think it was an excellent approach for
18 these potential bioterrorism agents, and I'm so
19 glad that we have some drugs or monoclonal
20 antibodies available for use. But if you look at
21 those infections, such as plague and tularemia,
22 compared to these MDR organisms, I think there's a

1 lot of differences that make the situations not
2 analogous.

3 First of all, as we've mentioned, we had a
4 lot of difficulty telling if a patient has
5 pneumonia, let alone a pneumonia that's related
6 directly to the MDR organism of interest. And
7 that's in stark contrast to when you have a
8 positive culture for tularemia, you're pretty darn
9 sure that that's explaining the problem, and it's
10 usually a mono infection as opposed to the
11 polymicrobial situation.

12 Secondly, these bioterrorism agents tend to
13 strike normal healthy adults out in the community.
14 So maybe that would make animal models a better
15 guide. And that's again in contrast, as Dr. Goetz
16 just mentioned, to the multiple co-morbidity
17 patient that we tend to see who have these MDR
18 organisms.

19 Lastly, I think that although certainly
20 these trials are difficult and challenging, I think
21 that they are doable. And certainly the prevalence
22 of MDR organisms and Pseudomonas is higher than

1 that of plague and anthrax, thank goodness.

2 So although it's very challenging, I think
3 it can be accomplished. And I would encourage the
4 manufacturers to really try to, in an ingenious
5 fashion, leverage clinical trials using some of the
6 techniques that have been brought up today rather
7 than saying it's too hard, and here's our nice
8 rabbit data.

9 DR. BADEN: Dr. Hilton and then Dr. Shyr?

10 DR. HILTON: Thank you so much. I don't
11 really have comments on the animal model.

12 DR. BADEN: Dr. Shyr?

13 DR. SHYR: As a biostatistician, when I
14 reach the animal model, I'm very nervous. Because
15 people say, if we run an animal model, I don't need
16 a statistician if I am going to see a difference.

17 (Laughter.)

18 DR. SHYR: So let me tell you what I think.
19 First of all, I completely agree with previous
20 comments. We do need a PK/PD, and we do need
21 multiple animal models. But I will not feel
22 comfortable based on pure animal models because

1 this is not like anthrax or any bioterrorism kind
2 of drug development.

3 I do think, in addition to multiple animal
4 models with appropriate endpoints, with clinical
5 patients, we should at least have multiple single-
6 arm studies to at least study safety and some
7 efficacy data.

8 In addition to that multiple single-arm
9 study, I also think we should have a rigorous
10 randomized phase 4 that's also required with those
11 two additional pieces of information and may feel
12 comfortable to base it on the animal model.

13 But again, I want to echo one of
14 Dr. Hilton's previous comments. If you are willing
15 to move toward animal side, why don't you get more
16 patients, even if it's not perfectly designed
17 clinical trial data and use that patient data?
18 It's still better than animal data. I will stop
19 there.

20 DR. BADEN: Thank you. We can now start
21 back on the left the way we intended. Even though
22 no one else is racing to the airport in the next 10

1 or 15 minutes, we still can be pithy and to the
2 point for the agency. Dr. Bennett? -

3 DR. BENNETT: There are two types of models
4 for infectious diseases. One is looking at the
5 colony count of the tissue, blood, or whatever, and
6 the other is death. Now, ROIs [ph] people will not
7 let your mouse die. A moribund mouse is the
8 endpoint, not a dead mouse.

9 But looking at those two, I really don't
10 like the colony count endpoint. The reason is, I
11 can imagine going to the patient and saying,
12 "Ms. Smith, the good news is this drug is going to
13 drop your colony count 100-fold," and what the
14 patient thinks, "I need a new doctor."

15 (Laughter.)

16 DR. BENNETT: So I really feel the animal
17 models I think are essential but not conclusive,
18 but of those, I like the ones that use the moribund
19 animal as the endpoint. That's the end of my
20 comment.

21 DR. BADEN: Thank you.

22 DR. LO RE: Vin Lo Re, University of

1 Pennsylvania. So I think animal models are
2 valuable to examine pathology, the survival,
3 toxicities at different doses of study drugs, but I
4 still think that they should be supportive of
5 trials in humans.

6 I mean, what's good for the mouse is good
7 for the mouse, not necessarily good for humans.
8 And I can't think really of a situation where
9 efficacy is principally demonstrated in animal
10 models with only limited clinical trials data in
11 humans.

12 I completely agree with Dr. Schaenman, and
13 this is why I had asked Dr. Cox the question about
14 the diseases in animal rules. That was all with
15 bioterrorism agents. This is completely different.
16 It seems that, to me, the Animal Rule approval
17 really would not necessarily apply here. That was
18 approval of therapies of agents that had previously
19 approved drugs. So I don't think there is a
20 situation that I would consider for that.

21 DR. HONEGGER: Jonathan Honegger. In terms
22 of the animal models, the one point that I was

1 going to make is pertinent to point B. If there
2 was a drug that was to be approved based on limited
3 clinical data but strong animal models, I could see
4 this having particular restrictions and potentially
5 being really limited to the dire situations with
6 people with truly multi- or extremely drug-
7 resistant organisms.

8 DR. FOLLMAN: Dean Follman, NIH. I don't
9 know so much about these animal models. It seemed
10 from the IDSA presentation and also the FDA that
11 they felt there was room to improve those models.
12 I'd like to talk a little bit about the animal
13 models used for anthrax and Neupogen for radiation
14 injury.

15 One key factor I think in those models was
16 that there was a proxy or surrogate endpoint that
17 they thought would predict benefit in a human. So
18 for anthrax, it was anthrax antibodies in the
19 bloodstream. So you could measure that both in
20 human and in animal. You knew that an animal, if
21 it reached a certain threshold, would be protected,
22 and you tried to achieve a similar threshold in

1 human. And we know a lot about immunology and
2 antibodies, how they protect against anthrax.

3 So there's this intermediate endpoint or
4 variable that made us more comfortable going from
5 animal to man.

6 Similarly, with Neupogen, which is meant for
7 radiation injuries, absolute neutrophil count is a
8 good intermediate endpoint. We know that's sort of
9 related to the causal mechanism. We can induce
10 that both in human and in animal. And when we give
11 that to animal and they raise the neutrophil
12 counts, they tend to survive.

13 So for this situation, I think, at a
14 minimum, we'd want to try and have such a proxy or
15 intermediate endpoint, and I don't know that there
16 is one there. I don't think, for example, colony
17 counts or change in colony counts is something that
18 would be suitable.

19 I mean, every model is different, and it's
20 something that needs to be investigated, but I
21 think, in other arenas, looking at early
22 bactericidal activity or early fungicidal activity,

1 looking at change in the slope of colony counts
2 hasn't been very predictive.

3 So I'm more pessimistic for animal models
4 here than for the animal models which were used
5 successfully I think for those other bioterrorism
6 agents. That's all.

7 DR. GRIPSHOVER: Yes. I also feel nervous
8 about using animal models. I think that we would
9 want to make sure that the models we chose had the
10 appropriate organ and that they had positive and
11 negative controls if you were actually developing a
12 model.

13 But then more to question B, I share
14 Jonathan's concern that I think that we need to be
15 very restrictive about how we would let a drug that
16 was just approved solely on animal models be used.
17 And somehow, it'd have to be for emergency only.

18 I don't know, since we've heard it's pretty
19 hard after a drug is approved, how we would do
20 that, if we could go with the CDC or distribution
21 by the CDC mechanisms. It seems like we would have
22 to have some way to really make sure that we had

1 very restrictive use and were able to collect data
2 on humans who then actually got it.

3 DR. GREEN: Mike Green. So unlike the
4 bioterrorism models of animal-model-based approval,
5 I don't think that we're in that scenario, and I
6 think we should be able to get human data for at
7 least these two organisms.

8 The information we were provided says 10 to
9 20 percent of hospital-acquired pneumonia,
10 ventilator-associated pneumonia due to Pseudomonas,
11 5 to 10 percent do A. baumannii. I mean, those are
12 not tiny numbers. You just have to be organized.
13 So I think it's not unfeasible to do that.

14 I'd love to trust the animal models, but I'm
15 not sure that the current models will be
16 predictive. And then I worry a lot about approval
17 that would then be based only on animal models. If
18 we were to approve primarily on the animal model, I
19 think we would need to require, for whatever it's
20 worth, some sort of post-approval studies, and we
21 have to be creative on how we could enforce that.

22 Finally, to item B, I think if we were in a

1 scenario that drugs had been approved primarily on
2 animal models, you do need to have some control.
3 But I think that the growing importance and role of
4 antimicrobial stewardship programs in hospital
5 settings really do provide that.

6 If you said pre-approval to get the drug and
7 then a day 3 audit, but maybe do something really
8 unique for a stewardship program, which actually
9 gives bite for day 3 -- so you can't really get the
10 drug unless we say yes, but once you start a drug,
11 stewardship programs have a hard time of really
12 making them go away. But perhaps in this scenario,
13 we could just have it worked out, and with the help
14 of the FDA so that we don't get sued for doing it,
15 really say, okay, you don't need this drug anymore.
16 It's gone. Thank you very much.

17 DR. BADEN: Taking the question in toto, I
18 think approval based solely on animal models should
19 be done with great caution, and it's not clear to
20 me it needs to be done in this circumstance.

21 I think that if one has strong in vitro
22 activity, broad number of strains, MDR/XDR, if one

1 has animal data disease, not just animal data, but
2 a disease model that mimics the human pathology in
3 some way, that would be supportive, very strong
4 PK/PD, well-characterized in humans, human safety,
5 not just healthy volunteer, but in those who are
6 likely to be treated.

7 Then I think you need to have human efficacy
8 data. Then the debate on how much human efficacy
9 data depends on the severity of the condition.
10 Rabies would require something different in my mind
11 than skin soft tissue infection. And one needs to
12 then have a way of managing the limited human
13 dataset, and what is clearly known about the
14 natural history of the condition in the generation
15 of that limited clinical dataset, and the
16 limitations on it will be greater the more severe
17 the condition is. And then post-approval would
18 have to have a mechanism for generating systematic
19 data.

20 DR. WEINA: Pete Weina, Walter Reed. I
21 don't know what's wrong with my colleagues. The
22 animal models thus far, for drugs that have been

1 approved by animal models have a zero percent
2 failure rate. I mean, these are amazing.

3 So that aside, animal models are never going
4 to replace human clinical trials except where the
5 populations don't exist, like we have with select
6 agents, not just because it's tough to do. As has
7 already been pointed out, there are ways of doing
8 it in human models or human clinical trials, and
9 they should really be required.

10 In the cases in which it's not required, and
11 maybe we do approve something based strictly upon
12 an animal model, how would the product be used
13 clinically, I have significant concerns about
14 off-label use of products and the fact that they
15 would probably be misused.

16 Our profession is absolutely wonderful at
17 doing that, and not just our profession saying
18 infectious disease, but also all of our other
19 colleagues in family practice, and surgeons, and
20 et cetera, et cetera, et cetera.

21 So these drugs, if they were approved based
22 strictly upon animal models, I think there would

1 need to be exceedingly strict controls put in place
2 with a distribution network and accountability
3 based upon that. That's all.

4 DR. CORBETT: Amanda Corbett, University of
5 North Carolina. I'll be honest. I don't have one
6 answer. Maybe you all felt the same way, and you
7 just picked one. Let's hope. But I think this is
8 just really complicated.

9 One perhaps obvious statement, but I feel
10 the need at least to say this out loud, is at least
11 I feel like there's conversation of -- this
12 antimicrobial is what is going to allow someone who
13 has a complex disease, including the syndrome of
14 HAPB/VAPB, to cure them, and we all know that
15 that's not true.

16 There are multiple other factors that
17 contribute, other than the bacteria, to the
18 survival and morbidity outcomes of that individual,
19 so their immune function, their respiratory status,
20 previous infection, concomitant diseases.

21 So I feel like we would almost be doing a
22 disservice to say we're not going to consider

1 strong in vitro models, which of course we would,
2 PK/PD to predict lots of different outcomes, so
3 very strenuous PK/PD, including those in animal
4 models. And I'm not saying no clinical trial data,
5 but I would almost be okay with saying limited
6 clinical trial data, especially ensuring that we
7 can at least get in enough patients to show some
8 sort of level of safety with the caveat that
9 postmarketing has in some way -- and I know this is
10 perhaps novel and perhaps never happened
11 before -- but some sort of controlled distribution
12 where there is a requirement for strenuous study of
13 those agents.

14 So I'm not suggesting I would know how you
15 would do this, but the distribution piece, I think,
16 has been demonstrated. We could do that, but how
17 do we ensure that the institution that gets the
18 medication gives us the information back from this
19 patient that can allow good data to come out. So
20 not phase 4 commitments where it's really difficult
21 to determine what that information is, but truly
22 saying if you want this drug, this is what you've

1 got to do to get it, pretty much.

2 Then also along those lines -- I've said
3 this before, but I still would agree with this. My
4 opinion is not the case and not what happens, but
5 there are strenuous clinical trials that need to be
6 done, that we really do need to find a way to all
7 work together.

8 I'm not sure who would lead this. Perhaps
9 it is the FDA that would lead this effort in
10 collaboration with industry. I know that's
11 potential conflict, but I really don't think
12 there's any other way around making that happen in
13 a timely way.

14 DR. BADEN: Let me jump to Dr. Moore since I
15 think he has to leave.

16 DR. MOORE: Thank you. To answer the first
17 part of this question, I don't really have much
18 more that has not already been said. I think that,
19 in general, science and unmet needs are pushing us
20 into the realm where clinical trials are becoming
21 more and more difficult to adequately perform
22 without having to enroll a prohibitively large

1 number of patients in order to find a margin,
2 particularly for certain indications and certainly
3 for pathogens that occur or cause disease a little
4 bit more rarely.

5 So I think ultimately, some amount of animal
6 data is going to have to be relied upon for these
7 entities. So answering that, assuming that is the
8 case and assuming that a product is released, how
9 would it be used clinically?

10 I am in a state where the vast majority of
11 hospitals in the state have an open pharmacy.
12 There's no regulation. The only thing that limits
13 prescribers' use of drugs is their own discretion,
14 which I'm sorry to say in many locations is poor.

15 But the other big limitation to the
16 profligate use of antibiotics is their price. So
17 when a new agent comes out and it's prohibitively
18 expensive, that actually serves the purpose of
19 restricting its use for good or bad.

20 I really don't know quite how to -- I was
21 thinking about the example with bedaquiline, which
22 is the most recently approved anti-tuberculosis

1 agent. That's a drug which is not commonly used.
2 It's obviously very restricted and as it should be.

3 But I don't think the same obviously level
4 of restriction could be used for a novel antibiotic
5 to target a specific pathogen, although it's kind
6 of a thing you'd almost have to do to prevent its
7 overuse.

8 I guess what I mean to say is that I'm not
9 so concerned about limitations of its use as much
10 as I am gathering data when it is used. In order
11 to gather those data, there would have to be some
12 well-controlled -- and I mean enforced -- clinical
13 trials for postmarketing strategies in order to do
14 that. It's easier said than done, as has been
15 mentioned earlier, but I think that's the only way
16 to gather those data. Thank you.

17 DR. BADEN: Thank you. Dr. Daskalakis?

18 DR. DASKALAKIS: Demetre Daskalakis, New
19 York City Department of Health. From the
20 perspective of question A, I want to echo the other
21 comments that the animal model needs to approximate
22 best the human disease state for which you are

1 looking at this drug as a potential treatment.

2 With that said, also to echo a lot of
3 previous comments, the animal models I think need
4 to be supportive of clinical data in humans. I
5 want to, without taking a lot of time, just echo
6 the comment that these are not the same as
7 bioterrorism agents. We have people who do have
8 these conditions and these syndromes. Therefore,
9 we have the right folks that we can introduce these
10 agents to.

11 So it's not a perfect study, but perfection
12 shouldn't be the enemy of good in this scenario of
13 getting more tools in the hands of individuals who
14 need them to treat these resistant infections.

15 From the perspective of question B, I would
16 hope that there would almost never be a scenario
17 where this happens, where there is a drug approved
18 only on animal data. In this scenario, the rare
19 scenario that this happens, or that there is a
20 combination of only a small amount of human data
21 along with more robust animal data, I do favor the
22 idea of some sort of restriction to access.

1 I don't know how well hospital stewardship
2 would be at doing this. In my head, I can imagine
3 the release of an anti-pseudomonal drug that
4 targets one organism, and the next day it's used in
5 prophylaxis on the oncology ward. So I can imagine
6 that pretty rapidly without a lot of debate. So I
7 would say that it's worth having a deeper
8 regulatory block on access to the drug.

9 Finally, to summarize all of this in one
10 sentence, I think that we know when these drugs are
11 coming for evaluation and there should be a clear
12 track, that once these drugs go in those tracks can
13 say that some amount of animal data with some
14 limited but acceptable amount of clinical human
15 data will be enough to bring these potentially for
16 approval. Thank you.

17 DR. OFOTOKUN: Ighovwerha Ofotokun from
18 Emory. I agree with what has been said, and I'm
19 just going to reiterate, as has already been
20 mentioned, that I don't think in this scenario that
21 dealing with the animal model will be adequate. I
22 don't think it will be adequate. But I can see how

1 in the presence of limited clinical data, if you
2 have clean animal data, it will be highly
3 complementary.

4 So if I have a situation where you look at
5 the animal data, there is no safety concern, and
6 there is some evidence of efficacy within the
7 animal data, and I have limited clinical data, I
8 will have some confidence in moving forward with
9 that drug than when there is limited clinical data
10 but no animal data at all.

11 So I see the role for animal data as being
12 complementary to whatever limited clinical data
13 that we're able to get in this scenario.

14 DR. CLARK: Nina Clark, Loyola. I would
15 agree that it would be difficult to support
16 approval of a drug just on animal data. Regarding
17 the animal models, I think obviously it would be
18 important that they would be reproducible with
19 different strains and perhaps even multiple types
20 of animals, especially because it seems that there
21 are many variables that could affect outcomes in
22 these animals and perhaps even different virulence

1 factors or development of resistance over time
2 during the infection.

3 As far as the second part, I would just
4 agree that there would have to be some defined
5 thresholds for use.

6 DR. ANDREWS: Ellen Andrews from the
7 Connecticut Health Policy Project. I agree that
8 animal models should be the last resort. And there
9 is an unmet need, but I don't see the kind of
10 immediacy that would push us to that point, where I
11 do with bioterrorism.

12 While I give the FDA lots of kudos for being
13 forward-thinking and realizing that this is
14 probably going to become a bigger issue, if it does
15 really, this should be a last resort. And then it
16 should go out to those centers that will as a
17 condition do the research to make sure that we know
18 and understand whether this is better than what's
19 out there or non-inferior, and whether it's the
20 safety profile, and also would cut way back on
21 off-label use.

22 MS. MCCALL: Debbie McCall. It should start

1 with animal models. It shouldn't end there.

2 DR. WEINSTEIN: Mel Weinstein. I agree with
3 most of the comments that have been made. I think
4 animal models are only part of the data package and
5 should not end there. I think the use of the drug
6 clinically will depend on the limitations of the
7 clinical trial data.

8 DR. MARKS: Lynn Marks, GlaxoSmithKline. I
9 think we need to continue to work very hard to make
10 sure that we're not able to run superiority trials
11 because that would mean that our comparator
12 regimens are inferior up front, known not to be
13 effective. So we need to keep that ever in front
14 of our mind, that this is the world that I hope,
15 because of new approvals of agents, that we're in.

16 Therefore, the package of data would be
17 robust in vitro microbiology data focusing
18 hopefully on new mechanisms of action, frequency of
19 resistance, new combinations that are either
20 additive or hopefully synergistic in the future,
21 robust PK/PD, focusing on the animal model efficacy
22 where we work together to share that information

1 across industry, academia, and governmental
2 organizations.

3 So it reminds me of the '60s when we had MIC
4 testing, everybody was doing it every different
5 way, and nobody knew what that looked like, so we
6 had to put some controls around that. I think the
7 animal model world, since we're going to be relying
8 on this increasingly, I believe, I think we're
9 going to need to have conversations about what that
10 looks like.

11 I appreciate Dr. Isaacs -- I know he had to
12 leave -- coming forward with a clear example where
13 he did not come forward with saying, "I only want
14 to give you rabbits," or whatever animals. He came
15 forward with what he felt like was a responsible
16 approach to a limited clinical trial dataset.

17 We can debate the confidence intervals and
18 non-inferiority margins, but it did include
19 clinical data, and I think that's where the state
20 of the industry is right now.

21 DR. BADEN: Summarizing the group's
22 comments, significant concerns with animal models

1 being the sole arbiter of approval, though they can
2 be quite useful. Clinical trials may be ambiguous.
3 This is not analogous to the bioterrorism issues
4 for a variety of reasons, including surrogates and
5 other a priori knowledge of likely effectiveness.
6 Clinical trials will be hard but doable, and we
7 need to work together to enable them to be
8 successful.

9 The issue of the post-approval is a
10 complicated one, and perhaps access to the drug
11 could be linked to a requirement to generate data
12 in a post-approval setting until the dataset is
13 robust enough to better understand how the drug may
14 be used.

15 The limited clinical data can work, however,
16 the definition of what the limited data may be will
17 be the subject of significant discussion, but
18 proportionate to the nature of the problem and the
19 potential benefit.

20 So I think that summarizes the last round of
21 comments. Before we adjourn, any final comments
22 from the agency?

1 DR. COX: So I just want to thank everybody
2 for all the discussions today. This is obviously a
3 challenging issue, and we appreciate all the
4 energy, thoughtfulness that folks provided to us
5 today, so greatly appreciated.

6 DR. BADEN: Dr. Nambiar?

7 DR. NAMBIAR: I just wanted to add my thanks
8 to the committee members. This was a very
9 interesting discussion on a very, very difficult
10 topic. And I also wanted to extend our thanks and
11 appreciation to the speakers at the open public
12 hearing. Most of them may have left by now, but I
13 sincerely appreciate all the efforts, and safe
14 travels back home.

15 **Adjournment**

16 DR. BADEN: I was going to make the same
17 comment, that the speakers were terrific, and the
18 committee has put a lot of effort into discussing
19 what is a very important topic that we shall be
20 discussing for some time to come.

21 We'll now adjourn the meeting. Panel
22 members, please take all your personal belongings

1 with you as the room is cleaned, and safe travels.

2 (Whereupon, at 4:36 p.m., the meeting was
3 adjourned.)

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22