

1 Cybersecurity of Medical Devices: A Regulatory
2 Science Gap Analysis

3 May 18-19, 2017

4 Food and Drug Administration

5 10903 New Hampshire Avenue

6 Silver Spring, MD, 20993

7 Day 2 May 19, 2017

8 1:21 p.m. - 3:53 p.m.

9 TRANSCRIPT OF MEETING May 19, 2017

10
11
12
13
14
15
16
17 TRANSCRIBER: JANINE THOMAS

18 NOTARY PUBLIC

19 (Proceedings recorded by electronic sound recording,
20 transcript produced by transcription service.)

21 VERITEXT NATIONAL COURT REPORTING COMPANY

22 MID-ATLANTIC REGION

1801 Market Street - Suite 1800

Philadelphia, Pennsylvania 19103

(888) 777-6690

I N D E X

	Page
1	
2	
	4
3	
4	5
5	
6	7
7	11
8	13
9	
10	20
11	
12	24
13	
14	28
15	
16	32
17	
18	36
19	
20	39
21	
22	45
	47
	56

1 John Hatcliff and Stephanie Domas

Discussions

69

2

3

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

1 DINESH PATWARDHAN: As you can see we've
2 thinned a little bit, but I really appreciate, we really
3 appreciate you staying through the readout session. I
4 listened in on several sessions since yesterday and
5 there were robust discussions in small and large groups.

6 What we're going to do today is to have a first
7 readout by the session chairs. And this is going to be
8 for five minutes each, so that we get a scope cross the
9 entire two breakout session, one yesterday and one
10 today. We will have time for discussions which will be
11 after the break. Just so that we are all aware. This
12 is again, the big room and we are being webcast.

13 So the -- what I'm going to do for the session
14 chairs, I will call the session chairs and then we will
15 have a readout of just the highlights in five minutes or
16 so. Our goal is to have a discussion after the initial
17 readout so that you can see across the sessions if there
18 are common themes and then as a result of that maybe we
19 can combine several common themes and that type of
20 discussion we can have once we have all heard, because I
21 also have not heard, I don't think anybody has heard
22 what happened in sessions one through eight, yesterday

1 and one through six today.

2 So what we're going to do, I was just, we are
3 doing this live is that as the session chairs read them
4 out we are going to capture it on stickies. Try to
5 capture the main sense, the main point of the readout on
6 a sticky and we are going to do an affinity diagram as I
7 will call it.

8 We will, so as we do the discussion maybe that
9 affinity diagram makes a little bit more sense. I don't
10 want to be here and keep on stretching this out. If
11 somebody has a burning question I'm open, otherwise,
12 we'll just get started. Okay.

13 Yesterday's session, breakout session one was
14 Relationship Between Medical Device Security and Patient
15 Safety and the moderators or session chairs were Ken
16 Hoyme and Anura Fernando, so is -- I ask see Ken here.
17 Oh, please come up to the front. So the -- you can
18 stand at this table and say your few words.

19 RELATIONSHIP BETWEEN MEDICAL DEVICE SECURITY AND PATIENT

20 SAFETY

21 ANURA FERNANDO: So, I'll start off. You know,
22 we had the same topic in two of the session and so there

1 was quite a bit of overlap and so Ken and I will sort
2 of, I think combine efforts here.

3 In the session that Kevin Fu and I were leading
4 we really honed in on two key areas, you know, after
5 going through a number of questions. One was asset
6 management and not only from the individual device
7 perspective and understanding, like the bill of
8 materials, for example, on the components that comprise
9 that product, but also from or of an HDO perspective
10 understanding, you know, what assets are available, how
11 those assets are effected by the kinds of events we've
12 seen over the last several days and that type of thing.

13 And so understanding assets, understanding
14 where vulnerabilities exist and complex systems whether
15 they're systems that are themselves products or the
16 health care system itself was one of the key topics.

17 Then another one that came up was education and
18 workforce. And so making sure that individuals that are
19 involved in cybersecurity understand the
20 multidisciplinary nature of this and have both an
21 understanding of the clinical domain and potential
22 impact on safety as well as the technical domain

1 associated with understanding how vulnerabilities may
2 play out and manifest and those were some of the key
3 areas, you know, recognizing that there wasn't a
4 sufficient workforce and that we needed to understand
5 what disciplinary areas needed to be focused on to build
6 that workforce and then once we have that workforce in
7 place managing assets both at the product level and at
8 the system level.

9 KEN HOYME: So we functioned slightly
10 differently, but I probably I think touched some of the
11 same kind of topics and we had split the group again
12 into two and we started out with a set of questions that
13 had been seated by the four of the moderators that had
14 worked with this particular topic and then spent time
15 brainstorming additional questions from the group and
16 then did dot quoting to focus on which we were going to
17 speak through and then we had, so we had four of winning
18 questions that we each then spent about a half hour
19 going through the post-it note, an affinity map so
20 Eugene has all kinds of post-it note filled paper with
21 the details and background to these four questions.

22 The four questions were that we ended up

1 discussing was how could we -- one secure an
2 institutional ecosystem of devices ranging from legacy
3 systems, state-of-the-art systems --

4 UNIDENTIFIED SPEAKER: Can you slow down, I
5 actually do have to write these down?

6 KEN HOYME: I e-mailed these to you.

7 UNIDENTIFIED SPEAKER: I know.

8 KEN HOYME: Oh.

9 UNIDENTIFIED SPEAKER: I still need to write
10 them down.

11 KEN HOYME: Okay. How --

12 UNIDENTIFIED SPEAKER: Thank you.

13 KEN HOYME: You're welcome. How can one secure
14 an institutional ecosystem of devices ranging from
15 legacy system, state-of-the-art systems and BYOD
16 products? And so then we talked about the various
17 different kinds of ways one would go about securing
18 them, you know, dealing with some of the legacy issues.
19 Whether or not there is a -- devices that could be used
20 to insulate legacy devices to risk management to
21 incentives, getting management aligned appropriately so
22 that the finances and things are put in place necessary

1 to do the securing of it.

2 We also, I think in that, talked about what we
3 would need to do for small manufacturers in terms of or
4 small hospitals in terms of provides some profiles and
5 things that might help a small hospital secure, given
6 that they don't have the same kind of resources.

7 Second question we talked about is what are the
8 requirements for a common reporting framework for
9 medical device cyber-incidents, so how do we detect when
10 something is incidents, so, yep so this is more
11 real-time behavior of how does a hospital in their
12 security operations center also get information about
13 what's going on with devices so that they can track and
14 manage and respond to devices and how might that
15 information then be fed to the manufacturers of those
16 devices so that the manufacturers can do the long-term
17 trending, also can do the response for patch management
18 if something like that is needed, so. But we ought to
19 recognize that it's not probably, but I think as
20 manufacturers we tend to do this is building something
21 that sends information back to the manufacturer as part
22 of our software deployment process, putting the

1 manufacturer in the loop for incident response is not
2 the right thing if it's short-term and need to do
3 something about what's going on on the floor right now
4 it should be within the -- in the hospital.

5 Third question was what steps can we take that
6 will improve the culture of security cross the ecosystem
7 and this is where we got similar to what Anura just
8 talked about in terms of a lot of things about training
9 and education and how do you make people aware. How do
10 you make day-in-the-life videos of what a nurse actually
11 does on the floor so you can recognize how these devices
12 are getting used? How do we improve things from an
13 education and experience perspective?

14 Last question we tackled was what techniques
15 can be used to create usable authentication in a wide
16 range of clinical settings including homes. So this is
17 that authentication problem and we talked about
18 biometrics and talked about all the various different
19 ways that you might consider authenticating devices. We
20 recognize that if we solved the authentication problem
21 in that context that we should patent it. I don't know
22 that we came up with something unique yesterday that

1 solved the authentication problem, but we did generate
2 some material to, I think that's, you know, an area that
3 certainly would require some research.

4 UNIDENTIFIED SPEAKER: And you were setting
5 incentives.

6 KEN HOYME: Yes. So.

7 ANURA FERNANDO: Just as a closing comment
8 there. So it was kind of interesting to see that
9 breaking two separate groups out and going through the
10 same set of questions there were -- there was
11 effectively only really one area of significant
12 difference, you know, between those two, so people are
13 identifying the different, right.

14 KEN HOYME: We all tend to agree that the big
15 problems are the big problems.

16 DINESH PATWARDHAN: Thank you. Does there
17 other -- Chuck or Kevin, do you have -- are they here?
18 Kevin, do you have anything to add?

19 KEVIN FU: Yeah, I'll just add just a little
20 more color to what Anura just said. The big problem
21 with safety and security is really just figuring out how
22 to select, you know, what are the methodologies do we

1 need to be able to figure out what part of security
2 truly does affect a central clinical performance and all
3 the questions that we prioritize those nine questions or
4 so, really just drill down into that one little piece,
5 but as we're not trying to solve the problems today I
6 don't think I'll go into anymore detail, but we had good
7 consensus around those questions. The details are in
8 the scribe notes. The two scribes have sent them in.
9 Okay.

10 UNIDENTIFIED SPEAKER: If you're a second chair
11 or the scribe and I don't have the notes from your
12 session, please be sure that I do.

13 KEVIN FU: I'll just repeat for Eugene.
14 Scribes, send your stuff to Eugene if you haven't.

15 UNIDENTIFIED SPEAKER: Are you going to say
16 everything I say?

17 KEVIN FU: I'm going to say anything Eugene
18 says.

19 UNIDENTIFIED SPEAKER: Okay.

20 DINESH PATWARDHAN: Okay. Thank you. I'm
21 going to move onto the second breakout session, second
22 pair, because we had two. Unique Security and

1 Regulatory Science Challenges for Medical Devices and
2 Bo and Michelle and Nick and Mike. So I don't know
3 what, if they have -- okay. We can go with Michelle
4 and --

5 UNIQUE SECURITY AND REGULATORY SCIENCE CHALLENGES FOR
6 MEDICAL DEVICES

7 MICHELLE JUMP: Okay. So just to clarify, I
8 can give you the topics that we kind of came up with in
9 the big bucket discussion, but a lot of them had
10 multiple questions that were associated with them. So
11 do you want me to go through all of the questions,
12 because that's all in the notes?

13 DINESH PATWARDHAN: Yeah.

14 MICHELLE JUMP: Or can I just give you the high
15 level kind of --

16 DINESH PATWARDHAN: High level.

17 Unique Security and Regulatory Science Challenges for
18 Medical Devices

19 MICHELLE JUMP: Okay. So it's really fruitful
20 discussions in our group. A lot of it boiled down to, I
21 think kind of four different areas that we focused on.
22 The first was how do you determine a good base level

1 expectation around security. Those of you may have seen
2 the IEEE building code for medical devices looking to
3 expand on something like that were some good practices
4 that should be considered foundational for medical
5 device manufacturers, not mandatory, because every
6 product, there's a lot of diversity of products, but
7 looking at ways of getting a good idea of what's good
8 enough, what's a good foundational practice for
9 mitigating security and hardening devices.

10 The second one was around I guess I would just
11 say responsibility agreements and whose responsible for
12 what better defining that shared responsibility between
13 all the different stakeholders and I guess I would say
14 beyond just the manufacturers and the HDOs, because
15 there's a lot of other people who are responsible in
16 this space as well. So we talked about a variety of
17 ways we might look at that.

18 The third bucket was around communication
19 understanding two levels of communication. One, how
20 could we get better communication between all those
21 stakeholders so the right information gets passed along
22 and two, how do we get a better awareness campaign for

1 what's expected from manufacturers and what resources
2 are out there.

3 That was the third one and then fourth was
4 really looking specifically at the home health area, we
5 thought that was a space that we focus so much on health
6 care delivery organizations that it would be really
7 beneficial to get some better clarity around what's
8 needed in the home health care situation.

9 UNIDENTIFIED SPEAKER: Yeah, there was more
10 detail underneath that, but.

11 MICHELLE JUMP: All folks. Yeah.

12 UNIDENTIFIED SPEAKER: That's kind of what it
13 rolled up to and we saw a lot of what was in here had
14 overlap with what some of the other groups had and so
15 when we came in here yesterday for the debrief we ended
16 up putting a lot of this into some of the sessions that
17 we did today, so if some of those themes sound familiar
18 it's not by accident, it's because we worked on some of
19 the output of that today.

20 DINESH PATWARDHAN: Thank you. Nick and Chuck.

21 UNIDENTIFIED SPEAKER: So there was a lot of
22 overlap with essentially, with both last groups said,

1 but there were a couple sessions today that came up as a
2 result of some of the topics that we talked about and I
3 thought one of the biggest areas and really where the
4 industry as a whole can probably benefit the most is
5 around essentially having these example or sample threat
6 models, a register that can be used when you're doing
7 your risk assessment submitting to the FDA.

8 What specifically prompted that was a question
9 around essentially manufacturer submits their device,
10 the FDA gets a response in return saying did you
11 consider this threat or this threat? And having some
12 source of information to start with would be very
13 helpful to the space. But inherently that is only what
14 should you consider from a threat side, it doesn't talk
15 about what good looks like. So that was one of the
16 other areas that came up is and you know, it's nice to
17 know what the all the risks are, but how do we know when
18 we've put in the right things, or you know, we don't
19 have the cybersecurity professionals to understand what
20 good looks like and, you know, we're not going to
21 necessarily hire a third party to tell us so having some
22 document or something out there that really establishes

1 the sum of leading practices to build into security and
2 have the security by design approach was important.

3 One other area that came up and whether it will
4 be done or not is another story, but having someone like
5 the FDA or some body provide real actionable security by
6 design guidance, so the example that we walked through
7 was essentially, you know, the FDA saying, you know, we
8 approve these tools for use with static code analysis,
9 because they do a thorough job and you can trust the
10 results, so those are some good key areas and then
11 lastly was the hospital versus home use, so
12 unfortunately, we were very manufacturer centric in the
13 room, we didn't have any hospitals, so it was a
14 one-sided conversation, but having guidance around, you
15 know, what you may be able to consider transferring to a
16 hospital and that being inherently different than what
17 you have to build in for a home network that you have to
18 basically assume that they're not going to be putting
19 those mechanisms in place.

20 UNKNOWN SPEAKER: So without trying to be --
21 not redundant at all, I guess we had a long open
22 discussion that included a number of areas and I just

1 kind of jotted down some of the main -- some of the main
2 themes which help sort of feed into today's, you know,
3 topic generation and sessions.

4 I know we had a little bit of discussion, I
5 said is, is just know it at a different, you know,
6 requirements and needs for different environments of
7 use. Certainly, some of the manufacturers brought up
8 the either challenges of doing V and V and rolling out
9 new software in an expeditious manner with respect to
10 testing fixes related to cyber-threats and the demands
11 of say the client or the hospital whom to get them out
12 as fast as possible.

13 There was also, I guess with respect to the
14 threat models, particularly, you know, some of the, you
15 know, manufacturers in the room discussed, you know,
16 just kind of, what kind of libraries they were talking
17 about, like, you know, wireless in the hospital versus
18 wireless versus home versus stationary and, you know,
19 that kind of categorization.

20 There was also raised a need for possibly some
21 well done, they cited some, I think, Mayo Clinic
22 examples of empathy videos for education components for

1 the life folks in the hospital to help increase
2 awareness and understanding of sort of, you know, of
3 these kind of problems and what it means to them and
4 last thing, at least on my --

5 UNIDENTIFIED SPEAKER: I'm sorry, I missed
6 that.

7 UNKNOWN SPEAKER: What is that?

8 UNIDENTIFIED SPEAKER: Who's the audience for
9 that? For that --

10 UNKNOWN SPEAKER: That was lay folks. They
11 were thinking -- the thought was the lay folks, like
12 the -- lay folks, meaning, not lay folks, like the
13 patient, they were thinking like the nurses and as well
14 as, I think the people that's worked in the hospital not
15 the -- and the example was given, I think, I don't
16 remember who gave the example, like the empathy that
17 are, if you -- Mayo Clinic does, you may have seen them,
18 they're actually quite well done.

19 UNIDENTIFIED SPEAKER: The Cleveland Clinic.

20 UNKNOWN SPEAKER: Oh, Cleveland Clinic. Oh,
21 you brought it up, but somebody else I think brought it
22 up in our too, as well. Yeah, but you mentioned that it

1 was Cleveland Clinic, right. Cleveland Clinic, sorry.
2 Correct, thank you. Cleveland Clinic. But it was
3 also -- it came up in our group, but I guess Bo, we
4 discussed it later. And also the -- there was some
5 discussion that was sort of, you know, at least
6 highlighted in my notes regarding, you know, something
7 similar to echoes, not echoes, Energy Star for like
8 appliances, you know, like cyber-star or whatever you
9 want to call it for certification of some sort of
10 minimal set of requirements relative to cybersecurity
11 for devices and I think that's it for me. All right.
12 Thank you.

13 DINESH PATWARDHAN: Thank you. Next readout is
14 Roles Intersection of IT Professionals and Bio Meds,
15 Ray.

16 THE ROLES AND INTERSECTION OF INFORMATION TECHNOLOGY AND
17 PROFESSIONALS AND BIOMEDICAL ENGINEERS

18 RICK HAMPTON: So for yesterday we had the
19 breakout session three which was Roles and Intersection
20 of Information Technology Professionals and Biomedical
21 Engineers and the first thing we did is we did establish
22 that there is a needed intersection.

1 UNIDENTIFIED SPEAKER: Please slow down.

2 RICK HAMPTON: I will. You didn't need to
3 capture that. It was a horrible joke that no one
4 laughed at, so.

5 UNIDENTIFIED SPEAKER: Reboot it and tell it
6 again.

7 RICK HAMPTON: It never gets any better.

8 Okay. So basically, what we tried to do was to
9 look at it at operations inside the hospital where you
10 have the biomedical engineers maintaining the medical
11 equipment. The IT and the security people maintaining
12 the IT network, how those things merged and where that
13 system, you know, where the tendrils of that system go.

14 Let me look at my notes here, because I am
15 sleep deprived and I can't even remember my name right
16 now. So part of the things that we came back to is and
17 this is a big surprise to everyone even at that
18 intersection there was an information shortage and we,
19 you know, so we wound up talking about a lot about how
20 do we share information between the IS Department, the
21 Security Department and the Biomedical Engineering
22 Department so that we can secure these things.

1 There is, forgive me, one of the questions that
2 came up was, you know, for example, do we need a DHS
3 type cert for medical devices? We've got the ICS cert,
4 they go out and they look at things. They feed that
5 back to, you know, the ICS folks. And while they're
6 doing that now, for medical devices, you know, from the
7 hospital perspective the stuff that they have doesn't
8 necessarily work in the hospital environment neither for
9 the biomedical engineering clinical engineer groups or
10 the IT security people.

11 It's one of those close, but not quite things
12 and so that was one of the question, you know, do we
13 need to have some kind of a governmental agency who's
14 looking at that to provide us better service in health
15 care? We don't know. We kind of think that's an issue.

16 One of the questions that came up was, you
17 know, the concept of certifications for equipment from
18 device manufacturers, because when we get stuff in the
19 hospital we have to, you know, look at it and see, you
20 know, how are we going to put it in our network, you
21 know, is it, you know, how do we know that it's fit to
22 be put on our network? Well, we have some kind of

1 assurances from the FDA on the basic device itself. We
2 don't have that on the software side. So one of the
3 questions is we, you know, should we have some kind of
4 software certification that we can look at together to
5 determine whether or not the device is, you know, fit
6 for consumption, if you will.

7 Then the question came up, what's the lifetime
8 of that? What does the certification really mean, you
9 know, how long is it good for, if, you know, once it
10 expires? And that's important, because we've got these
11 devices that, you know, even if they're brand new today
12 they will be tomorrow's legacy devices. You know, so
13 we're trying to solve this legacy problem today. We're
14 trying to figure out a way of keeping the new devices we
15 just -- everyone knows are going to be safe, are they
16 going to become tomorrow's legacy device and repeat this
17 mess all over again?

18 So those were some of the things that we needed
19 or that we thought we needed to look at and I got a
20 whole bunch of notes here I could ramble all day long.
21 Is that fine for you right now? Okay.

22 DINESH PATWARDHAN: Thank you.

1 RICK HAMPTON: Can I make --

2 UNIDENTIFIED SPEAKER: Just send them over.

3 RICK HAMPTON: Okay. You got them last night.

4 Can I make a personal plea? Is that the camera that's
5 live? Okay. I'm going to assume it is. If you're in a
6 hospital and you're watching this I want to know why
7 you're not here, because there's like 12 of us in this
8 group of 300 people and in all seriousness we try to do
9 a good job to respect hospitals, but as someone pointed
10 out you really don't want me representing your hospital.
11 Come defend yourself.

12 DINESH PATWARDHAN: Thank you. Okay. We move
13 to the next one, Potential Metrics and Evaluation Tools
14 to Test and Quantify the Security of Medical Systems and
15 Devices, John Hatcliff.

16 POTENTIAL METRICS AND EVALUATION TOOLS TO TEST AND
17 QUANTIFY THE SECURITY OF MEDICAL SYSTEMS AND DEVICES

18 JOHN HATCLIFF: All right. Thank you. So we
19 were trying to think about, well, what are all the
20 different aspects involved in either coming up with
21 metrics and tools or improving them and so forth? So we
22 started to think about first of all, the need to have

1 reproducible aspects, you know, whether it's talking
2 about reproducible testing methodologies and techniques,
3 reproducible outcomes, reproducible metrics, so, we
4 started, first of all, sort of identifying sort of the
5 things that help us normalize testing activities and one
6 of the first things you think of is appropriate
7 standards. So the nice thing, you know, we heard
8 yesterday Anura Fernando talking about the emergence of
9 the 2900 family of standards and so we talked about the
10 ability to sort of use that as an initial test case, if
11 you will, to see the extent to which a standard like
12 that could help us normalize testing for security issues
13 and then that raised the issue, well, if you have such a
14 standard how do you normalize across the different test
15 houses that might be supporting that.

16 So there was one particular thing on the issue
17 of getting repeatable testing methodologies. On the
18 side of getting reproducible metrics, one of the things
19 that a number of groups came up with is the need to have
20 more of a standardized way of reporting the bill of
21 materials on a device, so that fed into some of the
22 discussions today. But one of the things that was kind

1 of a novel aspect of some of the discussions in our
2 group since we were focusing on tools was can you
3 imagine any sort of tooling that would be built into
4 devices in the future that you would use to
5 automatically report or generate that bill of materials
6 and then once you get that automated bill of materials,
7 how can you then automatically link that to your
8 vulnerability assessments and so forth to create a
9 profile that feeds into your inventory management. So
10 those issues came up in a number of breakout groups
11 which, you know, fed into the discussions today.

12 Just like the previous group we also identified
13 the need for better sort of community-based
14 organizations that focused on sharing, so the
15 clearinghouse concepts and so forth, so I won't go into
16 the details of that.

17 One of the things that came up for us is we
18 tried to think about metrics. There was a discussion of
19 the need to recognize that since the security space and
20 challenges are so dynamic that we need to recognize that
21 the metrics themselves might somehow be dynamic and we
22 talked about in that context the need to distinguish

1 between metrics that are applied preproduction where you
2 have sort of a static object, you know you're evaluating
3 it, you release it and then metrics that are used in
4 postproduction. So in particular, you know, the need to
5 be able to rapidly and dynamically update sort of the
6 metrics or the profile associated with the devices
7 vulnerabilities.

8 So another issue that came up for us related to
9 metrics and reporting was the fact that in the modern
10 world when you have a thing in the medical space that
11 you're interesting in reasoning about security it's not
12 just the device anymore, you know, the device is
13 connected to a network and there may be different modes
14 of connecting whether it's peer-to-peer across some sort
15 of hub and spoke out into LAN and into the broader area
16 hospital. So we wanted to be able to score, create
17 metrics based on the architecture, right, so be able to
18 say, oh, we're talking about metrics or issues within
19 this particular point or characteristic of an
20 architecture so that require us being able to do both
21 modeling of architectures and have a somewhat normalized
22 way for discussing architectural issues as well as ways

1 of tracing vulnerabilities to particular points in the
2 architecture.

3 So finally, we kind of concluded with some
4 of -- some things that were roadblocks to what we were
5 trying to do; right. Even the most basic things related
6 to testing, penetration testing, scanning and so forth,
7 we heard mention in some of the opening talks that it's
8 very difficult to even approach some of these things
9 because some of the devices that we're producing now are
10 not robust with respect to scanning, you know, you scan
11 a device it actually brings it down, so come up with
12 sort of basic foundational issues to be addressed in the
13 design of devices so that they're more amenable to this
14 type of automated testing that we need to do.

15 We also talked about again, how metric
16 obsolescence in the face of dynamically changing
17 security context. And finally, the need to have better
18 threat models that allow us to even justify trying to
19 build a tool that would try to get us some sort of
20 standard approach to threat characterization.

21 DINESH PATWARDHAN: Thank you. The next one is
22 Automated and Manual Tools for Communicating Security

1 Information About Medical Device Design and Function,
2 Stephanie Domas.

3 AUTOMATED AND MANUAL TOOLS FOR COMMUNICATING SECURITY
4 INFORMATION ABOUT MEDICAL DEVICE DESIGN AND FUNCTION

5 STEPHANIE DOMAS: Hello, everyone. Stephanie
6 Domas from Batell [ph]. So our group was talking about
7 the automated and manual tools for sharing vulnerability
8 information. So our discussion kind of focused around
9 four main areas and the first one was kind of how do we
10 share information, so what are the mechanisms that a
11 manufacturer can use to disseminate information about a
12 vulnerability?

13 So we talked about the ISACS [ph], we talked
14 about individual websites for the manufacture, there's
15 social media, there's mechanisms like ICS Cert and then
16 there's trade organizations. So one of the gaps we
17 identified in that was we felt like while that involves
18 stakeholders like hospitals, purchasing groups,
19 manufacturers, most of those weren't going to be a
20 successful means of communicating with the individual
21 patients, so if things like home care use devices
22 they're probably not watching NH-ISACS website. They're

1 probably not looking at their manufacture's website to
2 see is there a vulnerability that they need to be
3 concerned about. So that was kind of one gap was that
4 the information sharing mechanisms that are out there
5 right now for vulnerabilities are overlooking getting
6 information to patients.

7 So we talked about, well -- so we have
8 mechanisms for disseminating information, but what kind
9 of information do we share through those mechanisms? So
10 some of the information we identified was, you know, is
11 there an update? Should you be applying the update?
12 And did the update work?

13 One of the other categories we talked about is
14 in the information that you share there are so many
15 mechanisms and possible ways that you can kind of rank
16 the severity of a vulnerability that it becomes hard
17 when manufacturers are sharing information to compare
18 apples to apples. So if one manufacturer says they
19 identify a vulnerability and they've ranked it
20 internally as a low how would you know if that would
21 rank as a low by your own manufacturing processes? So
22 everyone using different ranking mechanisms makes it

1 hard for people who aren't cybersecurity experts to look
2 at your criteria and say whether or not they agree with
3 your ranking mechanism and then we also felt like there
4 was a potential regulatory gap around guidance about
5 what level of threats should be shared. So that we had
6 a lot of discussions around if I get to the point as a
7 manufacturer of if every single cybersecurity threat
8 that comes to mind is something that I push out, it's
9 just going to become alarm fatigue. If I push out all
10 of thousands of different internal vulnerabilities and
11 small things then I might become aware of it's too much
12 information.

13 So is there a barrier where say, all right,
14 well, if everything is ranked using for example CVSS
15 version 3, is there a cut off that says, well, maybe
16 everything ranked over a 5 should be shared and
17 everything below a 5 maybe shouldn't. So we didn't have
18 any resolution around that, but just we felt like that
19 might be a potential gap and that there's not clear
20 guidance on what you should be sharing and what you
21 shouldn't be sharing so that stuff's gone through a
22 little bit of vetting and that everyone is speaking the

1 same language with the information that's shared.

2 DINESH PATWARDHAN: Thank you. The last one
3 for yesterday, Best Practices in Security Design,
4 Deployment, and Post-Deployment Activities and
5 Procedures, George Samaras.

6 BEST PRACTICES IN SECURITY DESIGN, DEPLOYMENT, AND
7 POST-DEPLOYMENT ACTIVITIES AND PROCEDURES

8 GEORGE SAMARAS: One moment, please, he said.
9 Oh, okay. I am George Samaras. Oh, okay. One more
10 moment. Go ahead? So best practices arise from bad
11 experiences. They get codified in textbooks. They get
12 codified in national, international consensus standards
13 and they get codified in regulations and guidance
14 documents. And we have lots of best practices above and
15 beyond those related to cybersecurity and not all of
16 them are followed, so we need to keep in mind that best
17 practices are nice to know and nice to have, but they
18 may or may not solve a particular problem.

19 One of the big issues that seemed to be of
20 importance to the group yesterday afternoon was who owns
21 cybersecurity? A lot of emphasis in the group on
22 manufacturers owning it. My personal opinion is I

1 disagree with that. I think it is a shared
2 responsibility, it can't be anything but that and yet
3 there was a great deal of concern that it was
4 manufacturers' responsibility. It was manufacturers' --
5 under manufacturers' control. And another question that
6 came up related to that was what role does the FDA play
7 with that beyond manufactures? Because the FDA only
8 regulates manufacturers not hospitals in the practice of
9 medicine.

10 The central theme with regard to best practices
11 was trying to reduce cyber risk and we were concerned
12 both with premarket and postmarket, basically the whole
13 life cycle which is development, deployment, clinical
14 use, clinical servicing and then disposal.

15 On the postmarket side and especially with the
16 emphasis on legacy devices when do you add cybersecurity
17 if you don't already have it? Whether is it appropriate
18 to retrofit existing devices? When to label or relabel.
19 How to incorporate cybersecurity into service and one
20 has to consider "patching, updating" and other
21 modifications to be a service function. And then what
22 are the minimum set of activities that one needs to do,

1 because remember we are dealing with a medical device
2 manufacturing community and a health delivery
3 organization community that range from very, very small
4 to global multinationals.

5 The third point was a regulatory gap was
6 identified and so I'm not quite sure how one approaches
7 this because of the bar on talking about policy, but
8 basically the question was what is the FDA going to do
9 about requiring devices that are constructed in an
10 interoperable manner. That means you've got ports.
11 You've got connectivity to basically implement the
12 requirements for cybersecurity. And I think that's a
13 question that the FDA focus need to address.

14 The only other thing that I wanted to comment
15 about is that in terms of legacy devices we had a nice
16 definition this morning about legacy devices being those
17 that haven't yet been addressed by the folks in the
18 HDOs, but you have to remember that legacy devices are
19 not just that are running DOS 3.1, they're also those
20 devices that are still in the pipeline that have been
21 under development for the past five or eight years and
22 haven't yet hit the market. Thank you.

1 DINESH PATWARDHAN: Thank you. So we are going
2 to switch over to day two and I just wanted to pause for
3 a second. We heard from session chairs from yesterday
4 at the end of the day yesterday the session chairs and
5 the FDA team met and we did a quick similar session,
6 what we heard today and today's sessions that -- those
7 six sessions that were introduced by Brian were a
8 synthesis of those discussions at the end of the day.

9 The second point I want to make is the note
10 takers, the scribes in each and every discussion they
11 did a really superb job and I want to thank them,
12 because those are the -- those are the notes that will
13 form the basis of the report that we are -- we would be
14 working on, so I just wanted to make that note. Thank
15 them and let's give them a round of applause. Thank
16 you.

17 So I'm going to switch over to today's session
18 in the morning and there, we have six of them and as we
19 hear more I suspect that there may be some overlap from
20 the comments made before, but that's just the nature of
21 it.

22 The first session moderators were Chuck and

1 Anura Who Owns Cybersecurity? How Do We Understand
2 Roles, Responsibilities and Accountability from the
3 Supply Chain to Care Delivery.

4 HOW DO WE UNDERSTAND ROLES, RESPONSIBILITIES AND
5 ACCOUNTABILITY FROM THE SUPPLY CHAIN TO CARE DELIVERY

6 CHARLES FARLOW: So Chuck Farlow, Medtronic.
7 Again, this was kind of a carryover from the last topic
8 discussed. I think just a caveat up front and this was
9 mentioned by Rick. We only had two HDO representatives
10 in our session, so as much as we tried, I think, you
11 know, there really needs to be almost a 50/50 split of
12 HDO and device manufacturers and so that was somewhat
13 disappointing and, you know, the danger of only having
14 two HDOs in the room is that it can become a bit of a
15 group think with medical device manufacturers.

16 We actually kind of divided the topic into
17 premarket and postmarket. I think in premarket there
18 was general agreement that the device manufacturer is
19 accountable for security, but has a responsibility to
20 seek out that input from a wide variety of stakeholders,
21 patients, clinicians, HDOs to name a few.

22 We discussed the quality of procured components

1 including software. And then we also discussed some
2 unique challenges for small companies.

3 UNIDENTIFIED SPEAKER: Slow down just a little
4 bit.

5 CHARLES FARLOW: Oh, okay.

6 UNIDENTIFIED SPEAKER: After manufacturers are
7 accountable what was the second thing?

8 CHARLES FARLOW: We discussed the quality of
9 procured components including software. And then we
10 briefly discussed just small company challenges, you
11 know, it's easy for larger companies to obtain that
12 feedback from patients, clinicians and HDOs, but it's a
13 little bit more challenging for smaller organizations.

14 Within the context of the premarket phase we
15 also discussed developing security requirements early in
16 the medical device design process as well as the fact
17 that throughout industry and actually even in our
18 regulators there needs to be an increased amount of
19 security education. So do you want to cover the
20 postmarket? Okay.

21 UNIDENTIFIED SPEAKER: Please do. I just came
22 up.

1 CHARLES FARLOW: Postmarket, moving onto
2 postmarket, a little bit different. In the postmarket
3 phase the group really viewed security as a shared
4 accountability between the medical device manufacturers
5 and the HDO and we had a lot of discussions about
6 intended use, as well as off-label use of medical
7 devices. An issue that was identified was end of
8 support for medical devices. Are manufacturers doing a
9 good enough job communicating when a medical device will
10 no longer be supported in the field?

11 And then finally we did discuss the latest
12 attack, the WannaCry attack and we thought the
13 communication of threat and vulnerability was good, I
14 mean, there's a good exchange of information, but you
15 know, our recurring HHS teleconference is really
16 sustainable for a large number of events. You know, we
17 did appreciate the HHS teleconferences held this last
18 week, but again, is that really a sustainable model?

19 Anything you might add?

20 UNIDENTIFIED SPEAKER: No. Thank.

21 DINESH PATWARDHAN: Thank you. The second
22 session for today, Information Sharing What to Share

1 with Whom and When, Mike Jaffe and Rick Hampton.

2 INFORMATION SHARING WHAT TO SHARE WITH WHOM AND WHEN

3 RICK HAMPTON: Okay. There was a common thread
4 in all of these as I sat and listened to them and it
5 basically boils down to, you know, what did you know?
6 When did you know it and how did you get it to us? And
7 we kind of tried to directly address that and we
8 basically wound up splitting our talk into two different
9 sections.

10 The first section this morning we tried to
11 address, you know, what do device manufacturers need to
12 provide to hospitals, the end users for that be the
13 consumer hospitals, you know, what information does the
14 manufacturers or do the manufacturers and regulators
15 have to provide to the HDOs, the users in order to allow
16 us to connect these devices in a safe and secure manner?

17 We didn't get nearly as far as where I had
18 hoped we would get. Frankly, we got hung up on the fact
19 that no one really knows what's required of
20 manufacturers to hand people. You know, how much is too
21 much information? Too much in terms of, you know, we
22 hand you this bill of materials and all this other stuff

1 and a small hospital, the engineers and the technicians
2 are now drowning in data they cannot possibly process.

3 The other question is how much data we put out
4 there and how does it get to you in a way that, and this
5 is my characterization, it's not anything that anyone
6 else said, but I'm going to use some terms that I've
7 heard in other places.

8 You know, how can we get this volume of
9 information to you in a way that it's not weaponized by
10 an opponent and/or turned into battle damage assessment
11 by someone who can come back and attack us from a
12 different direction.

13 So while I did not hear any of the device
14 manufacturers say inherently we do not want to give you
15 that information. The question is how much do we really
16 need to impart to make this safe? And how do we do it
17 so the information is not turned against us all? So let
18 me just leave it at that point for the first one. And I
19 think those are very valid things.

20 The second or in the afternoon we tried to
21 address the issues of, you know, the last couple of
22 days, so now we're in -- now we're responding to event.

1 What information needs to be shared? How does it get
2 there and who needs to get it? That we had a little
3 more clarity with. We didn't get into so much of a,
4 well, we basically did a postmortem. Let me just put it
5 that way. We did a postmortem on the last couple days
6 and here's what we discovered.

7 The nice thing, people wanted to share
8 information. The bad thing, they were sharing it in
9 every venue known to man. It was not well coordinated.
10 Is Susanne here by any chance? Oh, I was going to make
11 her feel bad, because I found that Susanne had a call
12 that she didn't personally call me about, so I feel hurt
13 that I wasn't included in her call.

14 The problem was it was happening at the same
15 time as two other calls were going on, one of which I
16 was on. You know, so there is virtually, well, this is
17 my characterization, so throw your shoes at me if it
18 offends you. There's virtually no coordination in
19 what's going on with the cyber response. There -- HHS
20 was having a call NH-ISAC was having a call. FDA was
21 having a call. DHS was having a call. No one knew when
22 those calls were going on. There was no coordination at

1 all. If you managed to find out about one, good for
2 you. You know, so that's -- that's one of the problems.
3 There needs to be a coordinated activity between these
4 organizations.

5 There was very little direction -- pardon.

6 UNIDENTIFIED SPEAKER: What were the
7 organizations?

8 RICK HAMPTON: All the ones that are supposed
9 to be in this room.

10 UNIDENTIFIED SPEAKER: Okay.

11 UNKNOWN SPEAKER: HHS.

12 RICK HAMPTON: HHS, DHS, FDA, NH-ISAC and I
13 think there were one or two others that were going on.

14 UNIDENTIFIED SPEAKER: So you're calling for a
15 national coordinator?

16 RICK HAMPTON: Well, you know, that was what
17 someone said, isn't that part of we've got a national
18 coordinator.

19 UNIDENTIFIED SPEAKER: Let's save that for the
20 discussion.

21 RICK HAMPTON: Yeah. Yeah. So yeah, we kind
22 of need a national coordinator for the national

1 coordinator, but, you know, the point of it is and all
2 seriousness aside ONC is that, okay. Now you know I'm
3 sleep deprived when I say something like that. Just
4 shoot me and put me out of my misery.

5 All silliness aside is what I mend to see, you
6 know, there needs to be a coordinated effort, because
7 each of these departments has a separate distinct area
8 of responsibility. In some places they overlap, in
9 other places there's a wide gulf and they need to get
10 together and figure out beforehand how they're going to
11 do it. I think this was a good try for the first time
12 out. It certainly, you know, exposed a lot of problems
13 inherent in not having tested it before, but that was
14 one of the issues. What was the other thing I was going
15 to say?

16 Oh, we didn't really know what the information
17 was supposed to be passed along and we didn't really
18 know what direction that information was supposed to
19 show. You know, so for example, I remember being on one
20 of the calls, some of the other people did too. The
21 government entities they were wanting to receive
22 information, but when we in the hospitals and the device

1 manufacturers wanted to receive some of that
2 intelligence back it was like it's a deep dark black
3 hole information comes in and it never comes back out.
4 That's not good for us, you know.

5 We understand the reasons why you don't want to
6 give all of the details out that whole battle damage
7 assessment thing. We understand that. One of the
8 things I heard and this is where I'm going to do one
9 challenge. I don't know who it was, but I hope he's
10 listening or watching, because I'm talking to you. One
11 of the -- on one of the calls I was on the -- one of the
12 hospitals asked we need to have some idea of what's
13 being affected, you know, if you can't give us the
14 device manufacturers' name at least tell us what kind of
15 device. Tell us where to look. The answer that came
16 back was I will characterize as being an understatement
17 extremely unhelpful. The answer that came back was we
18 don't want to try and -- we don't want to tell you that
19 because you'll just check that one device and ignore all
20 the rest. Give me a break. We may be crazy, but we're
21 not stupid. And I'll get off that soapbox now. But
22 that was kind of, you know, that my personal take. Some

1 of my personal input and it was reflected in some of the
2 other participants as well.

3 So we think that there is a way to get that
4 information out there. We're glad that people tried it
5 needs more coordination and you really need to stop and
6 think. You really need to ask and this is kind of a
7 research thing. You need to go back out and ask more
8 people than what's here. What is it that you need to
9 know and how can we get that to you in a way that's
10 secure so it can't be used against us later.

11 DINESH PATWARDHAN: Thank You. Working with
12 Legacy Devices, George Samaras.

13 WORKING WITH LEGACY DEVICES

14 GEORGE SAMARAS: So one of the first things we
15 did is try to define legacy devices. We had a number of
16 definitions unfortunately, Phil hasn't e-mailed me the
17 scribe notes yet, so I don't have them in front of me,
18 but one of the definitions which I think was the
19 broadest and all-encompassing was those devices that
20 have not yet been managed. And when you stop and think
21 about that rather glib statement it actually make a
22 whole lot of sense and it's a moving target, because you

1 may have managed them today. Next week there unmanaged
2 again.

3 I see personally, legacy devices in three
4 categories. I see the devices that are already out
5 there that have not yet exceeded their service life. I
6 see the -- another set that have exceeded their service
7 life, but they're still available hardware and software
8 and expertise accessible to maintain them even though
9 it's not done by the manufacturer necessarily.

10 And then there's that remaining set that DOS
11 3.11 and the ones where you have to have -- get out
12 your 3D printer to manufacturer the part to keep that
13 device that has essential performance and clinical value
14 still running in your organization since once again,
15 like we said earlier, we've got a broad range of health
16 delivery organizations from huge hospital systems down to
17 the little rural hospitals that have the 25 or 35 beds
18 and not big budgets.

19 We talked about different perspectives on
20 legacy devices. The manufacturer's perspective. The
21 health delivery perspective. We had an engineer who is
22 also a patient who talked to us about his perspective

1 and all of this are in the notes. We got some input
2 from regulatory perspective. We had both Dinesh and
3 Sandy Winegar [ph], Dr. Winegar in there.

4 We talked about challenges for managing,
5 maintaining and doing risk assessment for legacy
6 devices. And we talked about various strategies for
7 managing them and for remediating them, you know, bolt
8 on compensating controls and avenues for segregation and
9 separation and, you know, using private LANs and things
10 like that.

11 It's a huge problem and while we were focused
12 legacy devices the problem -- the devices that are
13 coming out now the path forward are going to be
14 producing more legacy devices, it's already been set up
15 here before and so we need to come up with some best
16 practices that actually will get followed to prevent us
17 from revisiting this problem 10 or 15 years from now.

18 DINESH PATWARDHAN: Thank you. The next one is
19 Software Bill of Materials and Resulting Considerations,
20 Ken Hoyme and Michelle Jump.

21 SOFTWARE BILL OF MATERIALS AND RESULTING CONSIDERATIONS

22 KEN HOYME: So I'll make -- you've got the

1 better list of the research things. I'll make sure you
2 cover that.

3 MICHELLE JUMP: Okay. You want to just start?

4 KEN HOYME: We started talking about software
5 bill of materials and realized that that might be a
6 misleading term, because it isn't quite the same thing
7 as the hardware bill of materials, so we spent a
8 considerable time coming up with other acronyms like
9 SWILL.

10 I think when we entered the room we were kind
11 of thinking that the purpose of a software bill of
12 materials is vulnerability management. It was kind of
13 that request of hospitals want to know what's inside the
14 devices that are on their network so that when something
15 breaks in the world they understand the risk profile.

16 As we worked our way through the day we kept
17 coming up with other uses and values for what this could
18 be done for which could potentially drive how it's
19 captured and how it's exchanged. We found it could be
20 used for purchasing decisions that the risk profile of
21 how much of this kind of software and at what level is
22 inside the device might be part of your purchasing

1 decision. Yes, vulnerability management, incidence
2 response, we did have to go and recruit some HDO people
3 to come in for a while and what he talked about when a
4 particular device is being hit they would like to know
5 what other software -- what other devices share that
6 same software if that happens to be going around the
7 hospital right now, so it's a very short-term incident
8 response.

9 Supply chain management service contract
10 management, when they're negotiating service contracts
11 understanding the quality of what is being updated with
12 that. For a company or a hospital to obtain
13 cyber insurance it might be that the insurance companies
14 will start wanting to know how much risk is still in
15 that software based on its -- emerging acquisition
16 activities, if you are a medical device company
17 acquiring a smaller company having that as part of your
18 due diligence might be a valuable piece of information.

19 We talked about certification of software,
20 whether or not the part of that certification process
21 the certifiers would want to understand the software
22 bill of materials and some of its risk profile. And we

1 have not to speculate about whether or not if we had a
2 large database of this would that allow a national
3 assessment of health care system risk as part of a
4 critical infrastructure assessment that it might go up
5 to, so.

6 We spent time brainstorming challenges and I've
7 captured a lot of some of the challenges related to
8 that. I think challenges that we saw were the concern
9 that there's intellectual property released in this and
10 whether or not competitors would use the state of
11 somebody else's software bill of material as a
12 competitive argument so how do you secure it? How do
13 you -- when do you exchange it? Are there -- should a
14 service be created that would allow small hospitals to
15 be able to query this database without having to manage
16 it themselves? So is the thing centralized? Is it
17 distributed? A lot of different issues that can affect
18 the overall design.

19 I think that was -- talked a little bit about
20 liability issues. How this database might vary based on
21 class of device anywhere from small embedded systems up
22 to cloud-based medical services. I think that was --

1 MICHELLE JUMP: I guess I would add to that
2 conversation, you know, as Ken mentioned we talked about
3 use cases of how you might utilize an S-BOMB [ph] or a
4 SWILL or however we want to call it. We'll call it
5 S-BOMB for right now. We had a lot of fun with the
6 acronyms though. And one of the things that we dug into
7 a bill both before we went and collected some HDOs to
8 come back in and afterwards is how they're using if we
9 do release software bombs to customers how are those
10 being utilized and is that really the best way to get
11 this information, so understanding how the S-BOMBS are
12 used helps us understand what kind of information could
13 potentially be standardized so that everyone is
14 providing the same information the same way; right. And
15 make it a little bit more and expected. And coming back
16 to it, you know, we're looking at vulnerability
17 management, but if there's sufficient interest that the
18 company is taking care of this can some of that burden
19 be relieved from the HDO and the manufacturer is
20 expected and has a process in place where the
21 communication is regularly established where
22 vulnerabilities are monitored, assessed and communicated

1 back to the HDO on a regular basis.

2 So we talked about having options there so
3 companies with maybe less advanced processes for
4 managing vulnerabilities will still give their S-BOMBs
5 and look to the hospital to kind of look at that
6 themselves, but then other hospitals or other
7 manufacturers who might have more advanced ways of
8 managing vulnerabilities making sure that they just
9 don't tell the hospitals when they have found something
10 that needs patching, but rather they've found something
11 that's very low risk and it will be patched on the next
12 go-round or they've looked at it and it's not
13 exploitable and it will not be patched.

14 So just because you haven't heard from an HDO
15 doesn't always -- or from a manufacturer doesn't always
16 mean that nothing's happened, but I think the
17 consistency and the trust of the communication that's
18 happening both when something needs to happen on the HDO
19 side and when it doesn't plays into this role.

20 The other part that we talked about here which
21 is our first question is the consistency and the way
22 people are managing their S-BOMBs from the

1 manufacturer's side, right. So the way manufacturers
2 currently are managing S-BOMBs may have been
3 established. That process may have been established
4 well before they were using this for security purposes
5 and so one of the questions for research is is there --
6 would there be an S-BOMB management methodology that
7 might be beneficial to kind of standardize and put out
8 there as an operationalized example for medical device
9 manufacturers.

10 Something that would be useful to both large
11 manufacturers and small manufacturers also dealing with
12 how you're going to deal with legacy issues and kind of
13 defer categories of devices. Some devices may have
14 different needs for the S-BOMB. The other -- one of the
15 other issues here was what kind of content might be
16 valuable to standardize for a template of S-BOMBs that
17 would go to HDOs and understanding how they use it,
18 making sure that it's accurate and looking whether a
19 database might be the better way of managing it was
20 another research question that we had.

21 One other thing that had come up in the
22 conversation around research questions was is there

1 potentially a benefit for looking at the need for
2 something like a third-party white listing tool? It
3 would forgo the need for patching when disaster strikes
4 for legacy products that aren't going to be patched any
5 longer, things like that. So looking at this idea of a
6 third-party white listing process.

7 We have quite a few questions and not all of
8 them kind of bubble up to the need to be shared openly
9 in the -- do you have anything?

10 KEN HOYME: One last thing I would add was one
11 of the things we also talked about I think what Michelle
12 was kind of implying is you could expand the use of the
13 software bomb to a vulnerability communication tool, so
14 if when you have a version of software listed in your
15 bomb and a now CVE is published in the national database
16 you could tag that with this response of where are we in
17 the response, you know, are we still in analysis? Are
18 we that? So we could make this a more efficient
19 automated tool to communicate where there's a one-stop
20 place you put that information in and everyone who needs
21 it gets access to it rather than you having to send
22 letters out to every customer, some of the overheads

1 that manufacturers have now.

2 MICHELLE JUMP: Yeah, so I think in a nutshell
3 we talked a lot about how can we standardize the way
4 this information is shared, how it's used, make sure
5 that it fits that model and also dealing with this
6 overload of information that could be overwhelming and
7 trying to centralize that more of in a database that
8 could be updated on a regular basis rather than getting
9 an S-BOMB when you purchase the product and then never
10 seeing another now one when you know it's going to be
11 updated, so. But really good conversation I think we
12 had folks come in and out throughout the morning and
13 different folks came in and added their thoughts and so
14 appreciate all the participation this morning. It was
15 very good.

16 KEN HOYME: And the last note. Someone in the
17 group whose name shall remain, Brian Fitzgerald,
18 introduced the concept of a software smorgasbord so that
19 might be what becomes coined.

20 DINESH PATWARDHAN: Thank you.

21 KEN HOYME: It's got the dots over the O.

22 DINESH PATWARDHAN: The next one is Nick and

1 Bo Woods [ph], Generalized Threat Modeling One Liability
2 Profile for Medical Devices.

3 GENERALIZED THREAT MODELING ONE LIABILITY PROFILE FOR
4 MEDICAL DEVICES

5 NICK SIKORSKI: Yes and this session kind of
6 originated out of what I was talking about earlier,
7 really both of our sessions had brought it up of
8 essentially having a need for understanding what good
9 looks like. What are the attributes? What are the
10 different threats we should consider and, you know, the
11 unique intricacies of this being the medical device
12 world.

13 How it came up though is a little different Bo
14 can describe how it came up in his, but in mine it was
15 more an a sense of not just wanting to generally, you
16 know, what is a list of threats, but for a specific type
17 of device, say an implantable or a diagnostic device,
18 what are some of the specific threats that face those,
19 so rather than one list you would have different threat
20 models based on, you know, category of device.

21 UNIDENTIFIED SPEAKER: Yeah and session it came
22 up a little bit differently as nick mentioned. We were

1 really thinking about how can we have some way to equip
2 medical device makers to do some of the threat modeling
3 to define buckets or classes or categories in which they
4 could have different threat models for different
5 devices, but where there might be some guidance or
6 examples that could be pulled from, so the one that I've
7 used quite a lot the last couple of days is, you know,
8 you might have a wireless communication bucket and then
9 within that obviously you could do near field, you could
10 do Bluetooth, you could do 4GLTE. Each of those would
11 have unique considerations, no device or very few
12 devices would have all 3 of those, but if there's
13 something to give guidance and to provide the things
14 within that to think about it would be really, really
15 helpful.

16 So we tried to generate something that was like
17 a call to action statement or the defining
18 characteristic of what we're trying to put this research
19 topic out there for and it's the -- the industry needs a
20 way to improve health care cybersecurity through
21 improved threat modeling which is comprehensive, usable,
22 broadly adoptable and not derivative of what is

1 available today. So there's a lot in that and I'll
2 unpack some of those things.

3 UNKNOWN SPEAKER: So is this is a direct quote?
4 I need to get it right. Call to action, the industry
5 needs a way to improve industry cybersecurity through
6 approved threat modeling.

7 NICK SIKORSKI: It's in the notes sent to you
8 as well.

9 UNIDENTIFIED SPEAKER: Yeah, it's in the notes.
10 I'll repeat it for you so that you can correct. The
11 industry needs a way to improve health care
12 cybersecurity through improved threat modeling which is
13 comprehensive, usable, broadly acceptable and not a
14 derivative of what's available today. And that's a
15 draft statement. It's probably not going to be final.
16 There's some tweaking that I'm sure could be done. But
17 some of the things that are packed into that are, you
18 know, some way to dampen the variability of threat
19 modeling use and acceptance. So if a medical device
20 maker is doing a threat model one of the outputs from
21 that is going to inform their internal teams, right.

22 One of the outputs from that could go to the

1 FDA. One of the outputs from that could go to customers
2 who are buying things. One of the outputs could go to
3 insurers. So there's multiple different stakeholder
4 groups that could benefit from this type of activity and
5 the documented self would look different; right. You're
6 not going to provide all the information to your buyers
7 that you would to the FDA, so you might have different
8 audiences and different documents, but to dampen some of
9 the variability or to improve consistency and we chose
10 those words deliberately rather than something like come
11 up with a standard, because a standard would move really
12 slowly in comparison to the threat modeling that needs
13 to be done, but something like a framework could be
14 flexible enough to be used for years without having to
15 be updated even if the information that an individual
16 device maker is using to put into that process changes.

17 NICK SIKORSKI: And kind of one other thing to
18 add onto that that kind of came up several times
19 throughout the morning. What was really that while it
20 would be good to have something that doesn't necessarily
21 need updating everything, you know, few months, you also
22 don't want to sacrifice the level of detail that could

1 be provided in such a document because at the end of the
2 day the more detail in it the more actionable it is for
3 most manufacturers and consumers of that document.

4 UNIDENTIFIED SPEAKER: Yeah, and we realize
5 that there could be a split too. There could be a
6 framework and then there could be something that's more
7 not like a threat intelligence feed, but something that
8 updates more frequently than the framework that could
9 accompany it and there were a number of companion or
10 partner documents that we had ideas for that are
11 certainly in the notes, but we don't have to talk about
12 all of those now. It's just, you know, we realize going
13 through this process that there are going to be some
14 dependencies and some assumptions in any research
15 project that the success or failure of the ultimate
16 output is going to depend on that. So how well and how
17 widely adopted and used this is going to be is going to
18 depend on for instance the level of detail that
19 manufacturers might have access to within different
20 threat model or different threat scenarios.

21 NICK SIKORSKI: And then on the topic of the
22 partnering documents, right, what you don't want this to

1 do is just create more questions, right. So it's great
2 we know what these threats are, but if we still don't
3 know how to mitigate them we're in the same issue we
4 were in before. So that's where this partner document,
5 separate research effort, whatever it end up being is
6 important which is what does leading practice look like
7 to secure connected medical devices.

8 UNIDENTIFIED SPEAKER: Right. And there's a
9 set of those that will be already excitant, right, like
10 Microsoft's Stride Methodology could be a companion
11 document that helps a manufacturer understand how to go
12 through and do this, but there could be other companion
13 documents that would need to be generated that might
14 generate a new research project. So we allowed for both
15 of those in our discussion and in our conversations.

16 To the -- we also realized that the critical
17 dependency of this is going to be how well adopted the
18 output of the research effort is. So if it's like, you
19 know, for the record, for the camera I love the OWASP
20 Group. They put out a lot of really, really good
21 material, but they haven't been nearly as effective in
22 communicating and getting outreach to developers. So if

1 the research project generates a beautiful, magnificent,
2 perfect document, but particularly somewhat HDOs and
3 medical device makers have never heard of it then I
4 think that that would hit a failure criteria rather than
5 a success criteria, so something needs to be built into
6 the -- the considerations of the research project of how
7 this is going to raise awareness among the stakeholders
8 and the constituents that need to know it so that it
9 will be successful and the -- I used this example
10 yesterday. I'll recycle it today, because I'm green,
11 but the idea of having something like a Smokey the Bear
12 for a threat modeling, just something that's easily
13 accessible that has an outreach component that also has
14 an education and awareness component and ultimately if
15 we can drive adoption of whatever comes out of this
16 process then it increases, it improves communication
17 between all the different stakeholders and it becomes a
18 vehicle to set expectations.

19 One of the problems that we realized yesterday
20 and today is that manufacturers make a device with
21 certain expectations about the environment it's going to
22 be housed in and if those expectations are violated by

1 the HDO then of course you'll have security breakdowns
2 and one of the ones that we've already seen and talked
3 about yesterday was a medical device maker that put
4 several layers of high quality security on the device,
5 but when it went into the -- the environment they
6 striped some of those layers away that were protecting
7 it in order to do a different security mechanism, in
8 order to put a different security measure in place. So
9 we want to avoid that and this could be a mechanism to
10 actually improve those communications, to set
11 expectations all the way around.

12 And then this is more of a global statement,
13 but this type of a document can build and improve market
14 confidence in the reliability of the devices. And it
15 can actually reduce commercial friction and commercial
16 burden. So you're already going through the process to
17 do threat modeling this makes it easier or it can make
18 it easier to make sure that, you know, all manufacturers
19 are doing the same process and then it can also make it
20 a lot easier to communicate to out external
21 organizations so that they know what you've done and
22 they know how it fits into what they want to buy. So

1 there's a potential commercial aspect to this that makes
2 it both cheaper to do and improves the market
3 confidence.

4 And one last point to unpack the very end of
5 our guiding statement is that this must be new and
6 valuable to our stakeholders. It can't just be, oh,
7 yeah, here's something else to think about and from what
8 we've heard around the room and we can investigate this
9 more in the research project is is there any kind of a
10 standard threat modeling idea for the health care domain
11 and if there is, certainly some of the folks in the room
12 didn't know about it. I didn't know about it. And that
13 could be a valuable contribution to this community.
14 Hopefully we can go beyond just having a customized
15 stride for health care, but if we start with the idea
16 that we need to generate something very new and very
17 valuable for this group then I think it would be a
18 success.

19 NICK SIKORSKI: And then just one other note is
20 while this is mostly going to be digestible by
21 manufacturers this is another tool for hospitals during
22 procurement similar to how they might currently use an

1 MDS2 form, they might be able to use a, you know, one of
2 the these threat profiles to essentially hold the
3 manufacturer more accountable. All right. Thanks.

4 DINESH PATWARDHAN: Thank you. Okay. The last
5 one is V&V Under Security Related Time Pressures, John
6 Hatcliff and Stephanie Domas.

7 V&V UNDER SECURITY RELATED TIME PRESSURES

8 JOHN HATCLIFF: Show of hands, how many are
9 aware of a standardized threat model for medical
10 devices?

11 UNIDENTIFIED SPEAKER: Exactly what was said,
12 exactly what was said just now. Is there any kind of
13 standard threat modeling idea out there? Is anyone
14 aware of one?

15 - - -

16 (Background Conversation)

17 - - -

18 DINESH PATWARDHAN: Please.

19 JOHN HATCLIFF: Right. So to kind of summarize
20 the issues that we are trying to address. The issue is
21 everyone sort of understands the main idea of V&V,
22 right. So the question is when you're in a context of

1 trying to rapidly incorporate the security patches how
2 should the notion of V&V change?

3 So imagine, you know, we all know the V
4 diagram, we know where V&V occurs and that life cycle
5 development process, you know, how would you redraw the
6 V diagram; right? To focus on the particular issue of
7 responding to patches. The same thing if you opened up
8 the annex of 14971 there's a risk management flow
9 diagram, risk management process. How are you going to
10 redo, rejigger that diagram to address what needs to be
11 a risk-based approach to doing this patch management V&V
12 sort of activity. So these are the main things that we
13 were trying to sort of address from a variety of
14 perspectives.

15 So one of the things that came up for us is I
16 think we all kind of understand that there's needs to be
17 some sort of phasing. Often you need to apply a quick
18 fix, right, but you don't want that quick fix to be a
19 permanent solution. So there was a -- we recognized a
20 need to sort of explicitly design a process that was
21 phased where you could roll out a quick fix in response
22 to a security threat, justify that in some way with your

1 risk management approach but then later on have a
2 required, you know, again justified by risk management
3 follow on phase where you did a more thorough V&V to
4 substantiate the fact that, you know, what you did at
5 first was either good enough or you've now rolled in
6 additional risk controls that you need to fulfill your
7 V&V objectives.

8 Yes, so a crucial aspect in this --

9 STEPHANIE DOMAS: Go ahead.

10 JOHN HATCLIFF: A crucial aspect in this was
11 addressing issues surrounding liability. So if you want
12 to encourage people to push a patch out quickly to
13 address what are certainly valid concerns they need to
14 somehow have some sort of protection from liability
15 issues to make sure that that is indeed a recognized
16 sort of process or best practices in the regulatory
17 context that doesn't leave them open to liability
18 issues. So that was a significant challenge in that
19 space.

20 Kind of similar to what the previous group
21 said, we also need to understand that our risk
22 management practices need to take into account of where

1 the device may be placed in context when it's deployed.
2 So the ideas that our risk management processes in this
3 context can't simply be oh, I've, you know, I'm looking
4 at my device and yeah, this is a low criticality device
5 in some sense and so I'm not going to patch it
6 immediately, because that device may be placed in
7 context and when it's in the context of a larger network
8 it could be used as a pivot point when you can then, you
9 know, get into that device and use it as a launch pad to
10 launch additional attacks.

11 So the issue then was, okay. We can do
12 research, produce technical results on this. How do we
13 then flow these into the regulatory context? And a lot
14 of vendors were very concerned about the need for
15 increased clarity around this particular issue in the
16 regulatory context and one of the specific needs for
17 research that came up was if you're going to make an
18 argument to the FDA in either of these phases about I've
19 done enough or I need to do more and I've done what I
20 said I was going to do and we're now what needs to be
21 done, how do you substantiate that with maybe not a
22 complete assurance case, but at least some sort of

1 structured argument. What's the arguments in evidence
2 that you need to produce in that context?

3 So another technical issue surrounding this
4 topic is the whole idea of making a change in a device
5 and then figuring out what you need to do, what might be
6 sort of effected by that change is related to a
7 technical concept called impact analysis.

8 So in the past, you know, many organizations
9 are aware of these concepts and they apply them, but
10 since we're now getting into the state of the world
11 where changes are going to be much more rapid and we
12 need to more rapidly respond, we felt that it was
13 important to elevate the notion of impact analysis
14 providing training on that highlighting exactly how that
15 gets carried out when you're in particular addressing
16 both safety and security concerns. And we had a number
17 of issue that we laid out for that.

18 STEPHANIE DOMAS: Okay. So one of the areas
19 that we also branched out in conversation was talking
20 about the need for maybe a meds cert, so people are
21 generally familiar with ICS Cert, but ICS Cert is
22 specifically Industrial Control Systems. The purpose of

1 it was to share vulnerability information that it's
2 hitting the industrial control systems industry and
3 disseminate that to the public with information about
4 the vulnerability potential fixes and potential impacts.

5 So we discussed was there a potential need for
6 a med cert, so a way for us to publicly disclose
7 information about medical products, but another piece of
8 the ICS Cert Division is that they have what they call
9 go teams, so teams that are specifically trained to help
10 in the security and industrial control systems see those
11 are go teams that if an industrial control system is
12 having an issue they can call in those go teams to help
13 them, so would having a med cert with basically go teams
14 specifically trained to help in medical cybersecurity
15 incidents is that something that our industry needs? So
16 it was generally favorable in our room, but that's a
17 discussion.

18 And another piece of the sort of sharing of
19 information there's the ICS Certs or a Med Cert that is
20 run by the government, it's funded by the government and
21 on the flip side you have the ISOWs [ph] so things like
22 NH-ISAC which is meant to the industry driven so we had

1 some government representation in our room and they said
2 they feed information into ISACs, but they don't
3 participate in them they want that to be a sharing
4 experience amongst the industry stakeholders so while
5 they will feed information in to disseminate
6 vulnerability information they're aware of they are not
7 consuming that information they want it to be industry
8 driven where something like a Med Cert would be
9 government driven.

10 Yeah, so a part of having a flexible or agile
11 V&V was a topic we talked about was consistency and
12 repeatability of testing. So if I need to scale my
13 testing in some way I need to have a consistent and
14 repeatable way that I can conduct testing to still give
15 myself the confidence that the patch that I'm issuing
16 isn't going to have a safety impact.

17 So one of the things we talked about from a
18 couple other industries the things that they use, so
19 things like hackathon s, so in the automotive space
20 there are industry-run hackathons that will allow people
21 to come in. They all sign NDAs. Will come in and allow
22 them to essentially hack on automobiles on manufacturers

1 who have agreed to participate and use that information
2 to help bring all of that industry up. So all of the
3 manufacturers participating learn all of the information
4 about the people who have participated in the
5 hackathons.

6 And there's also in the oil and gas industry
7 there's some consortium efforts to help share
8 vulnerability information amongst the oil and gas
9 industry so that was another one that came up is, you
10 know, is the health care consortium something that will
11 work and one of the issues that was discussed is that in
12 oil and gas industry you have maybe 10 big players and
13 if you get those 10 players you've got 90% of the
14 market, but medical's not like that. If we got the big
15 players, the couple dozen big players you've actually
16 still got a small subset of the market, so it's a lot
17 hardening to have a consortium driven group because the
18 med device industry is so fragmented.

19 JOHN HATCLIFF: So another one of the things we
20 talked about as far as achieving solutions,
21 community-based solutions that would scale. So we
22 talked about the notions of developing test beds or test

1 context where we could use to test devices. A
2 manufacturer could come in and at a relatively low cost
3 instead of having to build all their own testing
4 infrastructure they could work within the context of
5 this test bed to have their devices sort of evaluated in
6 context in a realistic context.

7 Some of the challenges there were there's
8 tremendous variability in the medical space and so even
9 the need to sort of design a test bed means that you
10 really have to analyze the domain space to understand
11 what are the different attributes that I need to
12 consider in a test bed so that I'm getting appropriate
13 coverage of different types of issues. So --

14 STEPHANIE DOMAS: So just briefly on that one.
15 So the challenge there was that even if you've done some
16 like quick fix in a small scale down version of your own
17 V&V to release this patch, how do you know that it
18 doesn't affect the ecosystem of medical devices that it
19 plugs into? It passed your tiny smaller V&V, but you're
20 part of a larger system.

21 JOHN HATCLIFF: So we finally addressed issues
22 related to partitioning and separation issues and we

1 discussed that in a number of context here, so I won't
2 go in-depth, but mainly the idea is that there are
3 solution strategies out there for partitioning which can
4 help you more easily roll patches in without and making
5 sure that you're not impacting the rest of the system
6 among many other things. And so a lot of our discussion
7 centered around how can we raise awareness of these
8 technologies? How can we provide tools that help people
9 use these types of technologies? And what sort of
10 analysis or design workflows go into using these
11 technologies?

12 DINESH PATWARDHAN: Thank you. Thank you very
13 much. I want to take this opportunity and on the behalf
14 of the FDA team let all the session chairs did a very
15 fantastic job and big round of applause to them.

16 A couple of housekeeping items, many of you
17 have to catch a flight and all of us have to leave here.
18 The readout part of the session is complete. What we
19 are thinking is to have a five minute in place stretch
20 out session, meaning we'll start real quick and then
21 have our discussion. That discussion will be open and
22 it will -- you may come up here and look at these,

1 please don't touch them, we haven't captured the notes
2 quite yet, we are trying to do so.

3 So we will start in -- it's 2:48, we'll start
4 at 3:00 and then we'll have a discussion. I was asked
5 to repeat this. There is a group meeting outside going
6 to at some point going to an airport, so if somebody's
7 going to which Dulles, I believe, so just look for
8 people outside going to the airport. I will start at
9 3:00. Thank you.

10 So we are going to start the discussion phase
11 here and there is no -- there are very few rules, let me
12 just put it that way.

13 - - -

14 DISCUSSIONS

15 - - -

16 PAT BAIRD: If I knew that, ah, man. So
17 anyway, thank you for letting me go first. I got a bolt
18 to the airport after this, but after the discussion of
19 V&V and did we lose Stephanie? Two thoughts that I had
20 that I thought might fold into the scope of the V&V work
21 would be, you know, one --

22 UNIDENTIFIED SPEAKER: Could you hold those

1 thoughts literally for 30 seconds?

2 PAT BAIRD: Sure.

3 UNIDENTIFIED SPEAKER: Tell us a joke.

4 PAT BAIRD: I don't have any slides. You do
5 not want me to sing. My wife will attest, you do not
6 want me to sing.

7 UNIDENTIFIED SPEAKER: Okay.

8 PAT BAIRD: All right. Okay. Fair enough.
9 It's done by now. So one thing that I thought and one
10 of the themes that I had on the first day, right, was
11 gee, there's a lot of SOUP. Gee, we need to have more
12 consideration for design for patchability, you know, how
13 can we take and upgrade these things? And have that as
14 one of the design constraints you build in is make
15 things easy to upgrade and one thing that hadn't
16 occurred to me until we were talking about V&V wasn't
17 just make it easy to swap out this part of the
18 executable in that part of the executable, but also
19 let's carry over that concept of segmentation into the
20 testing itself, right. And so if you have -- if you've
21 designed your tests so that you have to do a bizillion
22 [ph] regression tests every time you change something

1 that worked fine for the initial launch, but if we're
2 going to be patching this thing and need the patches to
3 go out, so I was thinking that as we are developing or
4 considering whatever kinds of guidances researches into
5 V&V let's also talk about how to lower the cost of
6 change when it comes to testing.

7 The other one that had occurred to me and maybe
8 other folks are doing this already, but something that
9 had popped up in a couple different conversation was
10 people in the hospital don't want to take the machines
11 down to do these upgrades. They want to be able to get
12 upgrades done quickly and get them back up and running,
13 because some of these they're income producing and they
14 really need this are vital pieces of equipment to that
15 hospital.

16 I wanted to make sure that because doing the
17 upgrades is so important and doing them in a timely
18 manner and people are in a rush both on our side and HDO
19 side, are we doing a process FMEA for the upgrade
20 process itself? So are we taking and consider what kind
21 of risk can go in? Are we grabbing the wrong patch? Is
22 it -- how long is the device down for? What kind of

1 risks does it take and introduce during that risk? So I
2 was thinking that sort of as part of this suite of tools
3 that would be really, really useful, I thought an
4 installation process FMEA considerations for process
5 FMEA something along those lines would be useful. Okay.

6 UNIDENTIFIED SPEAKER: Thank you.

7 PAT BAIRD: Okay. Thank you, sir.

8 DINESH PATWARDHAN: Thank you. I don't want to
9 moderate the session. I want to have -- I don't want to
10 pick volunteers. I want to -- please. Well, I want to
11 be very careful.

12 KEVIN FU: So my car, I have this problem, now,
13 it make a sound like -- no, so I want to talk about, we
14 had the session with Michelle and Ken on bill of
15 materials and I wanted to add a couple extra thoughts on
16 that. The first, the cynical one and then sort of the
17 real one. So the cynical way of looking at it is that
18 there are two kinds of software. There's unmaintained
19 software. That's where, you know, you might blame an
20 HDO for not maintaining something and then there's
21 unmaintainable software where the hospital no matter
22 what they do are going to, they're just never going to

1 be able to keep it secure, because of some problems
2 during manufacturing or choices in the default of the
3 OEM.

4 But one -- there was a discussion that went on,
5 on this related to inventory and it, in my view it
6 basically boils down to, I'm sure if Gavin's here, but
7 the cybersecurity framework sort of step one, know, your
8 assets. Step two, controlling the risks and then step
9 three, checking if your controls are working all the
10 time. But it's sort of obvious, you can't protect what
11 you don't know you have and we're seeing that problem in
12 two different circles and they kind of, they relate to
13 each other. So in our discussion we were talking about
14 the SOUP, again, the SOUP that keeps coming up at the
15 manufacturers where not all manufacturers have a good
16 understanding of all their third-party software inside
17 their projects and so they have an inventory problem.
18 And then that trickles down into the HDOs, because when
19 the HDOs start asking questions like what's on the
20 inside the manufacturers might not have a great answer,
21 but even if they do, the HDOs might be adding little
22 bits of their own, you know, it will come in sort of

1 default OEM, but then it gets modified, customized to
2 whatever that hospital's networking policies are.

3 The final thought would be there's a little bit
4 of, I think, discussion/argument on, you know, should we
5 give away this information, these bombs, this bill of
6 materials, because doesn't that help the bad guys? And
7 I offered the following counterargument.

8 The counterargument is, you know, let's not put
9 our heads in the sand, so look, the bad guys already
10 know what's out there. Just look at WannaCry. They're
11 able to get in because they know those clinical networks
12 better than the good guys.

13 What we're trying to say is, hey, you know,
14 manufacturers, we would really appreciate it if you
15 would share bombs such that the very busy HDOs who
16 aren't just trying to hack into everybody and do have to
17 worry about safety can know that too. The bad guys
18 don't care about safety. They can just do anything they
19 want to try to figure out what's on the inside. Take
20 things apart, even cause harm to live clinical care and
21 disabling HDOs.

22 UNIDENTIFIED SPEAKER: Kevin, I've heard that

1 phrase quite elegantly as the bad guys are already
2 scanning your network, shouldn't you?

3 KEVIN FU: Okay. Eugene says that this has
4 been coined as the bad guys are already scanning your
5 network, so shouldn't you be? The
6 counter-counterargument is that well, nobody wants to
7 admit this on camera, but if you work in a hospital and
8 you scan your hospital chances are you're going to knock
9 something over and the clinical engineers are not going
10 to give you, you know, the end of it.

11 UNIDENTIFIED SPEAKER: So sky quote.

12 KEVIN FU: It's a blue sky quote. But anyhow,
13 I just, I think once we can start getting toward better
14 bill of materials both in manufacturing and in the HDOS
15 and they're going to be different approaches for those
16 two things, I think we're going to be able to have much
17 better grips on how to control these risks rather than
18 focusing so much on post-market. Post-market is great,
19 but wouldn't it be nice to get it, you know, cleaned up
20 before you ship it. Those are my comments.

21 DINESH PATWARDHAN: Thank you.

22 TODD CARPENTER: Todd Carpenter, Adventium

1 Labs. On the bill of materials issue people might not
2 be aware there are commercial tools you can purchase and
3 throw a binary blob at it and it will tell you what's in
4 there. It's not perfect, but it's a good starting point
5 and so it shouldn't be surprised to any of the companies
6 here. And the bad guys can buy these same tools.

7 UNIDENTIFIED SPEAKER: Are you saying there are
8 SOUP extraction tools?

9 TODD CARPENTER: There are SOUP extraction
10 tools.

11 UNIDENTIFIED SPEAKER: I just --

12 TODD CARPENTER: Go for it.

13 UNIDENTIFIED SPEAKER: I just want to add onto
14 that there's also the NIST software reference library.
15 NIST software reference library, so it's a collection of
16 hashes, of lots of common systems that are out there.
17 They're also including other kinds of information going
18 back to some of the profile stuff we've been talking
19 about. They're linking the entities and the library to
20 SWIDS which were software I.D.s which are things that
21 can be generated automatically when software installs.

22 So we might want to go and take a look at that

1 also as a way to help provide automated way to extract
2 some of that information.

3 RICK HAMPTON: Since I seem to be the sole
4 representative for every hospital in the world.

5 UNIDENTIFIED SPEAKER: Just the new ones --
6 standards.

7 RICK HAMPTON: So the -- what?

8 UNIDENTIFIED SPEAKER: Saint Rick's Hospital.

9 RICK HAMPTON: Yeah, Saint Rick's Hospital. I
10 like that. So the concept of what to do with all this
11 information, bill of materials and stuff, you know,
12 someone when we were talking about this someone asked me
13 what do you want. I want everything. I'm greedy. I
14 want every piece of documentation. I want to be able to
15 pick your engineer's brain. I want to know everything
16 there is.

17 The thing of it is, I work at a place that's
18 big enough I can handle all that information. So when
19 we start looking to providing, you know, bombs and
20 everything else to every hospital in the world, keep in
21 mind that the interesting thing about hospitals is I may
22 have 100,000 devices in my hospital, those 100,000

1 devices may represent 1,000 different makes and models.
2 The hospital that's 18 beds, they don't have 100,000
3 devices, but they still have 1,000 or 100,000 devices
4 total, but they may -- they will still have 100,000 or
5 1,000 makes and models.

6 So if you look at each make and model requiring
7 a separate bill of material you're going to provide that
8 little 18-bed hospital with the same stat -- with the
9 same truckload of documentation that you're going to
10 give me. I may have the staff to deal with that. That
11 18-bed hospital is not.

12 So bill of materials are great, but you got to
13 be -- you got to take into consideration that are going
14 to use it. Are they going to have the wherewithal to
15 deal with it?

16 UNIDENTIFIED SPEAKER: Aren't you producing two
17 different --

18 RICK HAMPTON: I'm sorry.

19 UNKNOWN SPEAKER: We're in the same direction.

20 EUGENE VASSERMAN: If you're producing two
21 different ones anyway as a manufacturer, one for the
22 small facility, one for the large facility it seems then

1 it makes sense to distribute them both. There's the
2 S-BOMB which the summary software bomb. So it seems if
3 you're producing at least two anyway, the small one and
4 the big one two versions of the bill of material one --

5 RICK HAMPTON: Why would you have two versions
6 of a bill of material?

7 EUGENE VASSERMAN: So as not to cause
8 information overload from one of them that is a condense
9 one that may include every single version number, simply
10 the software packages.

11 RICK HAMPTON: Why would the little hospital
12 need any less information?

13 EUGENE VASSERMAN: They -- I thought the point
14 you were making is they may not be able to handle it.

15 RICK HAMPTON: Well, what I'm saying is the
16 volume of material they may not be able to handle. So
17 if you -- the volume of material they may not be able to
18 handle, but they may need exactly the same material. So
19 that's the question, how do we do that? Okay. And as
20 soon as you get done --

21 EUGENE VASSERMAN: So I'm suggesting that you
22 may produce a small one and a big one if we're calling

1 them S-BOMBS then it would be ES-BOMB that is the
2 executive summary bomb along with the -- literally all
3 of the information. And if you have the wherewithal to
4 use it then you use the -- you use it, but if you, I
5 mean, literally, if you don't have the time and you need
6 to glance at it then at least there's something that
7 doesn't cause information overload and that may be an
8 overall win.

9 UNIDENTIFIED SPEAKER: From my perspective this
10 is a standard human factors problem and it's already
11 been solved. It's called the difference between push
12 and pull information. Instead of dumping the truckload
13 on them, put it somewhere where they can access it when
14 they need it.

15 If you're worried about bad guys or competitors
16 accessing it instead of giving them the truckload you
17 just give them some way to authenticate that they
18 deserve to access it.

19 RICK HAMPTON: I like the concept, but you used
20 the one word that drives me nuts and that's assume.
21 Don't assume anything, because if -- remember we're
22 dealing with 18-bed hospitals, 100-bed hospitals, people

1 that don't have the time to deal with that stuff anyway.

2 Your assumption would work assuming we have
3 funding to train those people how to use it. We
4 don't --

5 UNIDENTIFIED SPEAKER: Well, if there's a third
6 party that comes into play.

7 RICK HAMPTON: Assuming --

8 UNIDENTIFIED SPEAKER: Already --

9 RICK HAMPTON: Assuming the hospital has the
10 money to pay to have their people trained or has enough
11 money to pay for a third party to come in. That's the
12 assumption that's bad. I like the idea and God knows
13 and hate saying that, you know, we have to worry about
14 money in health care, but we have to worry about money
15 in health care.

16 UNIDENTIFIED SPEAKER: But that doesn't
17 directly go to the issue of whether you're going to dump
18 the documents on them and overload them. That thought
19 doesn't go directly to the issue of whether you're going
20 to dump the documents on them or go and overload them or
21 just make it available to them. I think, I don't need
22 all the documents all the time. I need the documents

1 that I want right now. I know how to find it. I would
2 think that anybody in the hospital that's actually going
3 to be doing something like this would know what they're
4 working on.

5 RICK HAMPTON: Please provide your contact
6 information, I got 100,000 hospitals that are going to
7 want to come and have you volunteer for them. It's a
8 nice idea. I'm not -- it's the scalability, you know,
9 we've talked about human factors, you're right on the
10 human factors part, it's the scalability issue.

11 UNIDENTIFIED SPEAKER: I can fix that.

12 UNKNOWN SPEAKER: He's going to fix it though.

13 EUGENE VASSERMAN: So I started immediately
14 thinking, so how do you authenticate when you, when you
15 request these? So the natural authentication is you're
16 authenticated because you have the device that for which
17 you're requesting the bomb, so someone said why, I mean,
18 you should be able to scan your network and get the
19 bombs from every device, well, what about scanning your
20 network and asking the devices to nicely query their
21 manufacturer and get the bomb for you, so you don't have
22 to store it on the device and you use the device as a

1 proxy which solves both the authentication problem and
2 the push pull problem.

3 UNIDENTIFIED SPEAKER: So this is just
4 engineering, of course.

5 RICK HAMPTON: You know and the point that
6 George brought up and I'll be happy to sit down or I can
7 stand up here and you can throw shoes at me if you want.
8 I can be the moderator again, because I don't know how
9 to do that enough, you know, because I'm so moderate,
10 exactly.

11 So the and I have to admit, when I was thinking
12 about this I was thinking in terms of the last three
13 days where we're working through an event and we need to
14 know everything right now. In formal times, you're
15 right, we could -- in normal times when you can access
16 that stuff on a more reasonable basis where you can take
17 the time to read one bomb a day or week or whatever,
18 that, all of that makes sense. So whether it's
19 delivered in a truckload or whether we go and pull it as
20 we need it, under normal circumstances that's fine.
21 Where I think that breaks down, where I think it's going
22 to be challenged to the breakdown point and where we

1 have an event that we're trying to work through and if
2 we really don't know, you know, if it's a targeted
3 attack on one device that's easy, but in case like
4 WannaCry where we have no ideal what's and we have to
5 look at everything right now, that's where we're going
6 to have a problem.

7 UNIDENTIFIED SPEAKER: Well, we're not going to
8 look at everything right now simultaneously. We're only
9 going to look at everything right now --

10 UNKNOWN SPEAKER: So I think there's a
11 possibility here of these ISALES [ph] actually finding
12 this as a core process. The manufacturers who generate
13 the information, the ISALES who distribute it and the
14 health care facilities, not just hospitals, by the way,
15 it's your dentists, it's your orthopedic whatever, these
16 are consumers of that information, they should have at
17 their disposition a readily available service for this
18 if they need it.

19 I'm not sure, however, how useful a
20 one-size-fits-all bomb would be to the vast majority of
21 people in the world who would like to consume a bill of
22 materials. We discussed earlier that there were needs

1 for a bill of materials that exceed the need to manage
2 vulnerability that for configuration management
3 purposes, for intrusion purposes, for M&A, for due
4 diligence, for certification purposes, for JCO [ph]
5 maybe. There could be lots and lots of reasons to have
6 different configurations of bombs. In any case, if this
7 turns into some kind of service that ISO could manage on
8 a subscription basis manufacturers could feed into it
9 and they would have some level of trust that it wasn't
10 being abused by their competition. At least it would be
11 traceable if they found their competition going looking
12 up their bill of materials.

13 RICK HAMPTON: I'm being sleep deprived. I'm
14 mention that again. I'm running slow, but, you know,
15 it's like Brian said, yeah, we do have other things
16 beside, you know, we've got doctor's offices, dentist,
17 hospitals. And I've look at that stuff and if you, you
18 know, if you look at, I mean, we looked today at some of
19 the regulatory things that hospitals have to do and in
20 those standalone offices have even less that they're
21 required to do, you know, we may be short on IT staff,
22 they don't have IT staff, you know. So, yeah, it's a

1 kind of an interesting thing.

2 UNIDENTIFIED SPEAKER: IT staff, cybersecurity
3 expertise thing, we've already got a model in the health
4 care industry, in the health care delivery organization
5 for this and that's medical physicists. Most hospitals
6 don't have their own medical physicists. It's a
7 third-party group that's brought in and they do what's
8 necessary and they go to multiple hospital and they have
9 high levels of expertise and it is gotten to the point
10 where you go and you get trained as a specific medical
11 physicist, not a biomedical engineer or an IT person
12 that then does medical physics. And we -- it's
13 conceivable we can do the same thing in cybersecurity.

14 RICK HAMPTON: Well, we have third-party drug
15 companies in the medical devices.

16 UNIDENTIFIED SPEAKER: Right.

17 RICK HAMPTON: And that model is there --

18 UNIDENTIFIED SPEAKER: Right.

19 RICK HAMPTON: But what I was getting at is we
20 still don't have -- we still don't have the regulatory
21 drivers to make people spend the money if they do or
22 they don't have the money.

1 UNIDENTIFIED SPEAKER: And that's --

2 - - -

3 (Speakers speaking off of the microphone.)

4 - - -

5 DINESH PATWARDHAN: I'm looking for the next
6 one here. There were a couple people who came up to me
7 and wanted to say something.

8 UNKNOWN SPEAKER: They're all asleep.

9 DINESH PATWARDHAN: I don't know how to take --
10 we are looking for a -- we had a discussion earlier. We
11 had a recap and you were looking for discussions based
12 on that recap and a number of people have left for
13 flights and they'll be [indiscernible]. There was a
14 raised hand back there.

15 EUGENE VASSERMAN: Let me ask this. Are --
16 from the audience who I realize I have my back to, are
17 there -- are there things on this board if you had to
18 pick one, the most important one and you feel very
19 strongly about it, would you speak up and just say which
20 one it is, because we have more topics than we -- I
21 think we have audience members by this point. So I'm
22 fairly curious if you had to pick one for each audience

1 member what would they be?

2 UNIDENTIFIED SPEAKER: Some of them are
3 difficult to read.

4 EUGENE VASSERMAN: Oh, you have to remember,
5 no.

6 UNIDENTIFIED SPEAKER: I don't think that would
7 be helpful.

8 EUGENE VASSERMAN: If it's that important to
9 you, you would remember it. Okay. Anyone? That might
10 have been [indiscernible].

11 UNIDENTIFIED SPEAKER: I'm going to actually
12 kind of merge two and I'm thinking education and
13 awareness, communication that kind of stuff, because I
14 don't think you can do any of the other things without
15 getting a lot more stakeholders engaged and aware. So
16 if you don't educate people and you don't communicate
17 what's going on then we'll never get the right bodies in
18 place to even, if you create a tool only a few of us
19 will use it, because we'll be the only ones that know
20 about it.

21 UNKNOWN SPEAKER: So are you talking about only
22 tools or other things?

1 UNIDENTIFIED SPEAKER: No. I'm just saying
2 education and awareness to all of the stuff. What is
3 cybersecurity? What's going on? Where are these
4 hospitals [indiscernible].

5 UNKNOWN SPEAKER: And do you list some of
6 those? Do you -- you do start it, sorry.

7 - - -

8 (Speakers speaking off of the microphone.)

9 - - -

10 UNIDENTIFIED SPEAKER: Okay. I'll do my
11 homework.

12 DINESH PATWARDHAN: Anymore comments?

13 UNIDENTIFIED SPEAKER: What about this
14 regulatory class? [Indiscernible].

15 DINESH PATWARDHAN: I -- I wanted to first
16 finish education and training piece. Anymore comments
17 of that? Okay. Yeah, you have -- there is.

18 - - -

19 (Speakers speaking off of the microphone.)

20 - - -

21 DINESH PATWARDHAN: Brian, can you hold just
22 for a second, let him finish his comment? I'll bring

1 the mic.

2 UNIDENTIFIED SPEAKER: Yeah, okay. So the
3 number of the commonalty of terms meaning of words,
4 perhaps common understanding of evolution of
5 relationship model, premarket versus postmarket versus
6 legacy versus oh, God, who's going to fix it? That sort
7 of thing would fit in with the education.

8 EUGENE VASSERMAN: So this is the education;
9 right? So the other comment with education is, I think
10 it has to be sort of a multiway street or a two-way
11 street, I think. If you think of it as the
12 cybersecurity experts just going out there and educating
13 all the people who don't know what's going on that's not
14 going to work. I think everybody needs to learn about
15 each other's domain and come up with something that
16 works for the whole group.

17 - - -

18 (Speakers speaking off of the microphone.)

19 - - -

20 UNIDENTIFIED SPEAKER: Yeah, just to add an
21 additional comment to the normalizing the nomenclature
22 in that the -- I suspect that there will be a tremendous

1 amount of name space collisions when you look at the
2 three legs of the stools they were talking about. So
3 you've got cyber-usability and then of course safety and
4 so those are all that are to be covered even though
5 we're talking primarily about cybersecurity, so I think
6 that starting in that normalization is going to be
7 tremendously helpful not only to everybody here, but
8 everybody else in the industry and et cetera. Thanks.

9 UNKNOWN SPEAKER: So, for some time I've been
10 quite concern about the lack of a formal ontological
11 approach to definitions and terminology and if you go to
12 the standards development organizations they'll tell
13 you, well, we have got a set of definitions in the front
14 of our standard and you go onto the next standard and
15 they've got another set of definitions and we've got
16 about 600 standards in this sector.

17 And if you have 600 standards in any sector
18 you've got no standards in this sector. So, you know,
19 we've got a significant problem in the world of
20 cybersecurity and the ecosystem of sub-security and
21 being able to community our ideas in a consistent and
22 ontologically correct manner. This is actually, I think

1 a potential research topic itself. Just being able to
2 get that done it may not linguistically anyway, it's the
3 sort of thing that helps a sector be able to reframe its
4 ideas and to have those ideas mapped to other sectors
5 and to other languages. This is an international
6 business, medical devices. A lot of other sectors have
7 taken this approach and we should too.

8 I have to confess though that I was
9 unsuccessful talking at the standards organizations
10 about this. Maybe this is something we can do in the
11 U.S. and maybe it's something that we can have done for
12 us, you know, paid for by someone like Homeland Security
13 who would have an interest perhaps in doing this.

14 - - -

15 (Speakers speaking off of the microphone.)

16 - - -

17 UNKNOWN SPEAKER: Yeah, the -- I'm being honest
18 for an example of how this worked in another sector. So
19 in the food and agriculture sector many years ago the
20 FAO which is actually based out of Rome in Italy noticed
21 that the a lot of farming was not being done very
22 efficiently and it was because it was a lot of

1 mistranslation and lack of interest because no effective
2 translation being done from languages to languages from
3 countries to countries. Like environments could grow
4 like things et cetera, but they didn't know how, so one
5 of the things they approached that overarching problem
6 with was to begin a study in of all things the ontology
7 of farming and agriculture. They built not
8 dictionaries, because that's a degenerate form of
9 ontological map, they actually built the ontology and
10 they published it. It gave rise to in the W3C
11 consortium of an ability to therefore to go out there
12 and look at and sort of distributed knowledge, use
13 search engines to cross language boundaries,
14 geographical boundaries and the like. And knowledge
15 began to emerge by those who sought it rather than those
16 who needed to be taught it. So that's the way that I
17 come at this that when you have a common set of problems
18 you've got to start off with a common semantic framework
19 and get it is another matter, but when you've got it you
20 can make use of it in the modern day and age with
21 information technology.

22 DINESH PATWARDHAN: Any other comments? I

1 don't want to stretch this out. Any further unless we
2 have comments. Okay.

3 - - -

4 (Speakers speaking off of the microphone.)

5 - - -

6 UNKNOWN SPEAKER: Yeah, we've got a limited
7 amount of ability to discuss the regulatory hooks, but I
8 for one as part of the FDA team want to thank you all
9 for coming. I want to say that what I got out of this
10 was some inclination of where the edge cases are. What
11 we can do in the areas that we can affect and who are
12 the people and where they reside to help us manage the
13 other edge cases in this ecosystem so thank you very
14 much for educating me.

15 UNIDENTIFIED SPEAKER: See like a concluding
16 remark, Brian. So in terms of the regulatory hook
17 here's -- I was having a conversation last night. He's
18 one of the issues that I have. Hard coded credentials
19 are bad; right? So how do you teach a biologist to ask
20 questions that would provide evidentiary basis on
21 premarket that no hard coded credentials have been used?
22 There's a -- help me.

1 UNKNOWN SPEAKER: I not we stopped at the FDA
2 having a microbiologist doing software reviews.

3 UNIDENTIFIED SPEAKER: Well, the reality of the
4 situation is that from class one and class two devices
5 that come to the center biologists will do software
6 reviews.

7 - - -

8 (Speakers speaking off of the microphone.)

9 - - -

10 UNIDENTIFIED SPEAKER: Well, that's the reality
11 of the situation. Class three devices are shopped out
12 to OSSA [ph] I'll sure they'll comment there.

13 You can't make everybody a security expert
14 that's the gist of what I'm getting at. So how do we
15 adjust that?

16 UNKNOWN SPEAKER: Do you have microbiologists
17 doing human factor reviews as well.

18 UNIDENTIFIED SPEAKER: Probably not, but
19 somewhat -- I don't remember doing human factors review
20 in my review days. Anyway, so what are the artifacts of
21 that? So it's a paper based review too so you can't do
22 certain tests that you may do.

1 UNKNOWN SPEAKER: Sure, it's an administrative
2 review. I -- what I was after was what regulatory looks
3 he was asking for. His name is Rick.

4 UNIDENTIFIED SPEAKER: I know Rick.

5 UNKNOWN SPEAKER: Yes.

6 UNIDENTIFIED SPEAKER: There are many economic
7 drivers to attain a reasonable security. FDA can play a
8 part. I'm asking for help there, yeah.

9 EUGENE VASSERMAN: I would ask a
10 counterquestion. Given -- let's take it as a given that
11 there's a limited amount of resources and a limited
12 number of people which can be hired to do this would you
13 want a security expert doing a biocompatibility review?

14 UNIDENTIFIED SPEAKER: Repeat your question.

15 EUGENE VASSERMAN: Good contributions, Seth.

16 Would you want a security expert doing a
17 biocompatibility review?

18 UNIDENTIFIED SPEAKER: Actually 10993 is really
19 easy to understand so I'm not sure.

20 - - -

21 (Speakers speaking off of the microphone.)

22 - - -

1 UNIDENTIFIED SPEAKER: It depends. I had done
2 a biocompatibility review. I'm not a biocompatibility
3 expert, but I think you can be brought up to speed.
4 But, I mean, the reality is that you have to bring
5 reviewers up to a certain level of competence. What
6 level that is, I don't know, but.

7 UNKNOWN SPEAKER: So we've given up on team
8 reviews. I haven't worked here in a while, so the team
9 reviews are gone.

10 DINESH PATWARDHAN: I think we are going off
11 topic just a little bit.

12 UNIDENTIFIED SPEAKER: Fantastic. Sounds
13 heated though and interesting.

14 UNKNOWN SPEAKER: I'm not sure how off topic
15 that is. The way I heard it is we need to make the
16 security information, security architecture, the
17 security approach understandable by humans and that's
18 not an easy challenge.

19 DINESH PATWARDHAN: That's right.

20 UNKNOWN SPEAKER: And that is an open research
21 project.

22 DINESH PATWARDHAN: Yes.

1 UNKNOWN SPEAKER: So that is a gap that we
2 could address.

3 EUGENE VASSERMAN: This time I'm going to be
4 constructive. Whose responsibility -- Todd, who's
5 responsibility would that be. So for example, knowing
6 that there's limited reviewer power, is it up to the
7 manufacturer to make their security architecture
8 understandable by the lay reviewer for very creative
9 values of lay?

10 TODD CARPENTER: If I'd like my device to get
11 through review quickly, if I'm the medical manufacturer
12 I would say the more easily understandable I make it the
13 higher chance I'm going to get through review. So
14 there's an economic business security benefit in making
15 that.

16 - - -

17 (Speakers speaking off of the microphone.)

18 - - -

19 TODD CARPENTER: Omission will be -- that's not
20 going to last for long. They will learn enough.

21 UNIDENTIFIED SPEAKER: Or you could get a third
22 party to certify it.

1 UNKNOWN SPEAKER: That would be a form of
2 evidence.

3 DINESH PATWARDHAN: Kevin you had a comment.

4 UNIDENTIFIED SPEAKER: Certified for what?

5 - - -

6 (Speakers speaking off of the microphone.)

7 - - -

8 KEVIN FU: Less a comment than a question. I'm
9 just curious within the FDA campus, I'm not sure what
10 the right term would be, but I'll just say computer
11 scientists, but how is the growth of computer science
12 representation been with the growth of computing
13 problems? What does it look like? No, I mean, it's a
14 serious question.

15 UNIDENTIFIED SPEAKER: I know and you want to
16 withdrawal it.

17 KEVIN FU: I don't know if I --

18 - - -

19 (Speakers speaking off of the microphone.)

20 - - -

21 KEVIN FU: I'll leave it at that.

22 DINESH PATWARDHAN: Somebody had a comment. I

1 don't know. Yeah.

2 UNIDENTIFIED SPEAKER: I always thought that if
3 you needed additional help during a review can't they
4 request that and somebody could come in and help them.
5 In other words, if a biologist didn't feel like they had
6 the expertise could they request some additional help?

7 - - -

8 (Speakers speaking off of the microphone.)

9 - - -

10 DINESH PATWARDHAN: Yeah.

11 UNIDENTIFIED SPEAKER: So I just this goes back
12 to why the education piece is really important, because
13 if this is going to be a normal part of medical devices
14 we can't be sort of waiting on limited expertise to deal
15 with that widespread issue; right. Somehow the whole
16 industry has to be brought up to speed both on the
17 manufacturer the review side and all of the other users
18 in order for this to work, but if it's so complex a
19 problem and it's in every medical device and we need,
20 you know, only the 10 people in the world who know how
21 to do this to do it then we're not going to get any
22 traction; right. So I think that's what makes the

1 education piece a very, very, very important piece and
2 education on all fronts to make that happen. Yeah.

3 KEVIN FU: I like that framing the question.

4 Let me rephrase that too. What should universities be
5 doing in terms of, you know, what's missing in terms of
6 the students coming out of our program? So I'm at the
7 University of Michigan. We teach computer security as
8 well as programming. Only about a third of the students
9 take the security course, so two thirds of them are
10 making the problems, the other third fix those two
11 thirds.

12 So what kinds of students should we be
13 producing in the future, both from manufacturers,
14 hospitals, regulators, what's missing?

15 DINESH PATWARDHAN: Thank you. That's -- I'm
16 trying.

17 - - -

18 (Speakers speaking off of the microphone.)

19 - - -

20 DINESH PATWARDHAN: Yes. And that's why that
21 question was extremely important.

22 KEN HOYME: So I'll offer an answer in terms of

1 what I think the security focus that tends to come out
2 of a lot of the schools is IT security which really
3 focuses a lot on privacy and privacy protection. What
4 we're dealing with in the medical device space is
5 embedded systems and the safety security, the integrity
6 availability side of things. There isn't a lot of
7 programs that combine the knowledge of interacting with
8 the physical world and interact -- and securing it
9 against potential threats. The National Academy has
10 just produced a report on a degree program in
11 cyber physical systems and in there they were looking at
12 ways that you -- given that with the Internet of things
13 is going to be producing more and more of these
14 cyber physical systems. It was kind of a curriculum for
15 a four year program and a master's program, I believe in
16 cyber physical systems and I don't know if there's, I
17 guess there's a couple of schools that are starting to
18 think about implementing that type of a program, but I
19 think the medical device world could use cyber physical
20 system security more than IT security.

21 DINESH PATWARDHAN: So Brian mentioned an
22 important thing which is NSF is our partner and we will

1 try to include that having training at the university
2 level will certainly help in this area.

3 UNIDENTIFIED SPEAKER: So Kevin, because you
4 touched on the workforce problem a little bit you're
5 absolutely right, you know and your school is one of the
6 better ones, so if one third of the engineers coming out
7 have had one security course I would say that that's
8 probably a societal failure, you know, we're generating
9 the problem faster than we're able to fix it. Which is
10 not a ding on your school, right. Or on anybody in here
11 who's a professor in the academic community.

12 I'd also say that in the universities it's --
13 there is some IT security stuff, there's also some very
14 theoretical things that are really neat and interesting,
15 but that aren't, you know, don't help us today, so we'll
16 need those. We'll need all of those down the road, all
17 right.

18 I saw something the other day that said we have
19 about a six million person skills gap globally and
20 whether it's actually six million or I've heard closer
21 to one million, still seven figures; right. So it -- I
22 don't care whether it's either one of those, but if we

1 take and Kevin, you've quoted a number to me of about
2 how many years does it take to make a secure developer
3 of study, like?

4 KEVIN FU: Oh, from an undergraduate?

5 UNIDENTIFIED SPEAKER: Yeah. Like.

6 KEVIN FU: You can make a pretty good hacker in
7 three years. You could make a pretty good engineer
8 after that and a lot of experience in the field.

9 UNIDENTIFIED SPEAKER: Right. So three years
10 minimum bar to getting the people we need if we start
11 today. So how do we get six million people started on
12 this journey to become security professionals?

13 UNKNOWN SPEAKER: There's an addendum.

14 UNIDENTIFIED SPEAKER: Okay.

15 UNKNOWN SPEAKER: If you're going to design
16 protocols so the underlying communication structures not
17 necessarily with a patient, I would put another four
18 years.

19 UNIDENTIFIED SPEAKER: Okay. So --

20 - - -

21 (Speakers speaking off of the microphone.)

22 - - -

1 UNKNOWN SPEAKER: I can rephrase it.

2 UNIDENTIFIED SPEAKER: For a hacker. Let's --
3 if it happens it happens.

4 - - -

5 (Speakers speaking off of the microphone.)

6 - - -

7 UNIDENTIFIED SPEAKER: Okay. So I don't want
8 to get bike shedded on how many years it takes to make a
9 security person. The point is it takes longer than
10 we've got and we don't have the time to start and by the
11 time we get there we'll need more than we started
12 needing. This is a long-term problem that we've got to
13 solve. There's a short-term problem that we have
14 though, as well. And just like when we walked in here
15 we didn't walk in to find a giant exoskeleton of
16 protection keeping the building up, right, it just stood
17 up on its own.

18 The way that we've done this in other
19 disciplines is we cut out the specialty or cut down the
20 need for the specialty of someone who's doing security
21 or safety or those types of things. And so I think the
22 answer is we've got to do the same thing or similar

1 thing in IT security which is we've got to cut out
2 problem space faster than we're generating it. And that
3 takes new architectures. That takes not just better
4 coding, but better ways of implementing and we've got a
5 lot of those things, we know how to do them, right. I
6 said yesterday, I said today, if you're familiar with
7 OWASP, they do a great job of generating information.
8 They don't do a great job of distributing the
9 information and William Gibson said the future is here,
10 it's just unevenly distributed. The point is, maybe
11 it's not that we need more knowledge. Maybe it's that
12 we need a better way to distribute that information and
13 get it into people's work flows which can be an
14 educational thing. It can also be an incentive thing,
15 so if you only pay engineers when they're software
16 passes pen tests you'll get a very different product
17 then if you pay them for the number of lines of code
18 they write and the number of time they spend writing it.

19 So this is a broader issue than just education,
20 but that's part of it. We've also got to realign some
21 of the incentives that we've got. We've got to realign
22 some of the processes so if it's as easy to review a

1 security package or a cyber safety package in a 5, 10k
2 then maybe you don't need security people to do it.
3 Maybe it could be a biomechanical engineer or whoever
4 else you've got, but it takes a look cross all of the
5 processes that we have and all the systems that we've
6 got to fix it and some of that can be generated in NSF
7 or DHS grants. Some of it can be generated just inside
8 the FDA with some of the mechanisms that you're using,
9 but it takes a lot of us working together is what I
10 would say.

11 UNKNOWN SPEAKER: Let's not forget that the
12 building stands up. There's a lot of building that fell
13 down, because there's a building code, because there's
14 no building inspectors, because there's lots and lots of
15 bad experiences that have resulted in best practices
16 that don't always get followed.

17 UNIDENTIFIED SPEAKER: Yeah, there's a whole
18 process around building codes. I absolutely agree and
19 I'm not trying to make a direct correlation.

20 UNKNOWN SPEAKER: No. I'm not criticizing,
21 I'm --

22 UNIDENTIFIED SPEAKER: Yeah.

1 UNKNOWN SPEAKER: -- just saying that the
2 problem are there's a lot of complexity.

3 UNIDENTIFIED SPEAKER: There is. Yeah.

4 UNKNOWN SPEAKER: And it's easy to overlook the
5 complexity because we've lived with it for so long.

6 - - -

7 (Speakers speaking off of the microphone.)

8 - - -

9 DINESH PATWARDHAN: It is 3:50, that's
10 according to my watch. I want to personally thank you
11 for sticking to the end. We will not call it the bitter
12 end, because it was a very great discussion.

13 UNIDENTIFIED SPEAKER: The sweet end.

14 DINESH PATWARDHAN: With this I call the end of
15 the workshop. If you want to have individual
16 discussions please stay around. Thank you.

17 - - -

18 (Whereupon, the proceeding was concluded
19 at 3:53 p.m.)

20 - - -

21

22

1 CERTIFICATE OF NOTARY PUBLIC

2 I, Michael Farkas, the officer before whom the foregoing
3 proceeding was taken, do hereby certify that the
4 proceedings were recorded by me and thereafter reduced
5 to typewriting under my direction; that said proceedings
6 are a true and accurate record to the best of my
7 knowledge, skills, and ability; that I am neither
8 counsel for, related to, nor employed by any of the
9 parties to the action in which this was taken; and,
10 further, that I am not a relative or employee of any
11 counsel or attorney employed by the parties hereto, nor
12 financially or otherwise interested in the outcome of
13 this action.

14
15
16 Michael Farkas

17 Notary Public in and for the

18 State of Maryland
19
20
21
22

C E R T I F I C A T E

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

I do hereby certify that the aforesaid hearing was transcribed by me from an audio recording to the best of my ability; and that I am neither of counsel nor kin to any party in said action, nor interested in the outcome thereof.

WITNESS my hand and official seal this ____ day of ____, 2017.

Janine Thomas
Notary Public