

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH
MEDICAL IMAGING DRUGS ADVISORY COMMITTEE MEETING
(MIDAC)

Wednesday, May 10, 2017

8:00 a.m. to 2:46 p.m.

FDA White Oak Campus
Building 31
10903 New Hampshire Avenue,
Silver Spring, Maryland

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Jennifer Shepherd, RPh**

4 Division of Advisory Committee and Consultant

5 Management

6 Office of Executive Programs, CDER, FDA

7

8 **MEDICAL IMAGING DRUGS ADVISORY COMMITTEE MEMBERS**

9 **(Voting)**

10 **David B. Hackney, MD**

11 Chief of Neuroradiology

12 Beth Israel Deaconess Medical Center

13 Boston, Massachusetts

14

15 **Peter Herscovitch, MD, FACP, FRCPC, FSNMMI**

16 Department Director

17 PET Department

18 National Institutes of Health

19 Rockville, Maryland

20

21

22

1 **Paula M. Jacobs, PhD**

2 Associate Director

3 Cancer Imaging Program

4 Division of Cancer Treatment and Diagnosis

5 National Cancer Institute

6 Bethesda, Maryland

7

8 **Henry D. Royal, MD**

9 *(Chairperson)*

10 Associate Director

11 Division of Nuclear Medicine

12 Mallinckrodt Institute of Radiology

13 St. Louis, Missouri

14

15 **Alicia Y. Toledano, ScD**

16 President

17 Biostatistics Consulting, LLC

18 Kensington, Maryland

19

20

21

22

1 **MEDICAL IMAGING DRUGS ADVISORY COMMITTEE MEMBERS**

2 **(Non-Voting)**

3 **Richard A. Frank, MD, PhD**

4 *(Industry Representative)*

5 Chief Medical Officer

6 Siemens Healthcare

7 Washington, District of Columbia

8
9 **TEMPORARY MEMBERS (Voting)**

10 **Peggy Almgren, RN**

11 *(Patient Representative)*

12 North Bay Endoscopy Center

13 St. Joseph's Hospital

14 Petaluma, California

15
16 **Bonnie Arkus, RN**

17 *(Acting Consumer Representative)*

18 Executive Director

19 Women's Heart Foundation

20 Trenton, New Jersey

21

22

1 **Richard W. Byrne, MD**

2 The Roger C. Bone MD Presidential Chair

3 Professor and Chairman

4 Department of Neurosurgery

5 Rush University Medical School

6 Chicago, Illinois

7

8 **Mark R. Gilbert, MD**

9 Senior Investigator and Deputy Director

10 Center for Cancer Research

11 National Cancer Institute

12 National Institutes of Health

13 Bethesda, Maryland

14

15 **Donna R. Roberts, MD**

16 Associate Professor

17 Department of Radiology and Radiological

18 Science

19 Medical University of South Carolina

20 Charleston, South Carolina

21

22

1 **Lucia J. Zamorano, MD**

2 Michigan Brain & Spine Surgery Center

3 Professor of Neurological Surgery

4 Oakland University William Beaumont

5 School of Medicine

6 Birmingham, Michigan

7

8 **FDA PARTICIPANTS (Non-Voting)**

9 **Charles J. Ganley, MD**

10 Director

11 Office of Drug Evaluation IV (ODE IV)

12 Office of New Drugs (OND), CDER, FDA

13

14 **Libero Marzella, MD, PhD**

15 Director

16 Division of Medical Imaging Products (DMIP)

17 ODE IV, OND, CDER, FDA

18

19 **Nushin Todd, MD, PhD**

20 Clinical Team Leader

21 DMIP, ODE IV, OND, CDER, FDA

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Betsy Ballard, MD

Medical Officer

DMIP, ODE IV, OND, CDER, FDA

Anthony Mucci, PhD

Mathematical Statistician

Division of Biometrics I, Office of Biostatistics

Office of Translational Sciences, CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Henry Royal, MD	10
5	Conflict of Interest Statement	
6	Jennifer Shepherd, RPh	14
7	FDA Introductory Remarks	
8	Alex Gorovets, MD	18
9	Guest Speaker Presentation	
10	Cameron Brennan, MD	26
11	Clarifying Questions	51
12	Applicant Presentations	
13	Introduction	
14	Alan Ezrin, PhD	64
15	Visualization of Tumor During	
16	Glioma Surgery	
17	Constantinos Hadjipanayis, MD, PhD	69
18	Clinical Efficacy and Safety Results	
19	Walter Stummer, PhD, Dr med	84
20		
21		
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	Benefit/Risk	
4	Constantinos Hadjipanayis, MD, PhD	119
5	Conclusion	
6	Alan Ezrin, PhD	125
7	Clarifying Questions	126
8	FDA Presentations	
9	FDA Clinical Review	
10	Betsy Ballard, MD	159
11	FDA Statistical Analysis	
12	Anthony Mucci, PhD	175
13	Clarifying Questions	188
14	Open Public Hearing	221
15	Questions to the Committee and Discussion	251
16	Adjournment	291
17		
18		
19		
20		
21		
22		

1 P R O C E E D I N G S

2 (8:00 a.m.)

3 **Call to Order**

4 **Introduction of Committee**

5 DR. ROYAL: Good morning. I'd like to first
6 remind you to please silence your cell phones,
7 smartphones, and any other devices if you have not
8 already done so. I would also like to identify the
9 FDA press contact, Lauren Smith Dyer. If you are
10 present, please stand. So she's in the back of the
11 room in the corner there.

12 My name is Henry Royal. I am the
13 chairperson of the Medical Imaging Advisory
14 Committee, and I will be chairing this meeting. I
15 will now call the meeting of the Medical Imaging
16 Drug Advisory Committee to order.

17 We'll start by going around the table and
18 introduce ourselves. Let's start on my right-hand
19 side.

20 DR. HACKNEY: Hi. I'm David Hackney. I'm a
21 neuroradiologist, chief of neuroradiology at Beth
22 Israel Deaconess Medical Center in Boston.

1 DR. ROBERTS: I'm Donna Roberts. I'm a
2 neuroradiologist at the Medical University of South
3 Carolina.

4 MS. ALMGREN: I'm Peggy Almgren. I'm a
5 nurse. I'm a patient advocate.

6 MS. ARKUS: Bonnie Arkus, consumer advocate.

7 DR. BYRNE: Rich Byrne. I'm a neurosurgeon
8 at Rush Medical Center in Chicago.

9 DR. ZAMORANO: I'm Lucia Zamorano. I'm a
10 neurosurgeon and a clinical professor of
11 neurological surgery at William Beaumont, Oakland
12 University in Michigan.

13 DR. FRANK: My name is Richard Frank. I'm
14 chief medical officer of Siemens Healthineers. I'm
15 a non-voting industry representative.

16 DR. ROYAL: Peter, why don't you go next?
17 Sorry.

18 DR. TOLEDANO: You skipped me.

19 DR. ROYAL: Yeah, sorry.

20 DR. TOLEDANO: My name is Alicia Toledano.
21 Good morning. I run a small biostatistics
22 consulting company that focuses on clinical studies

1 of imaging devices and in vitro diagnostic devices.

2 DR. HERSCOVITCH: I'm Peter Herscovitch.
3 I'm director of the positron emission tomography
4 department at the NIH Clinical center in Bethesda,
5 Maryland.

6 DR. GILBERT: I'm Mark Gilbert. I'm the
7 branch chief of neurooncology at the NIH and senior
8 investigator.

9 DR. MUCCI: Tony Mucci, statistics, FDA.

10 DR. BALLARD: Betsy Ballard, medical
11 officer, FDA.

12 DR. TODD: Nushin Todd. Good morning. I'm
13 clinical team leader at the FDA, Division of
14 Medical Imaging Products.

15 DR. MARZELLA: I'm Louis Marzella. I'm the
16 director of the Division of Medical Imaging
17 Products. I'd like to welcome you to this meeting.

18 DR. GANLEY: I'm Charlie Ganley. I'm the
19 director of the Office of Drug Evaluation IV.

20 DR. SHEPHERD: I'm Jennifer Shepherd. I'm
21 the designated federal officer for the committee.

22 DR. ROYAL: For the topics such as those

1 being discussed at today's meeting, there are often
2 a variety of opinions, some of which are quite
3 strongly held. Our goal in today's meeting will be
4 to have a fair and open forum for discussion of
5 these issues and that individuals can express their
6 views without interruption. Thus, as a gentle
7 reminder, individuals will be allowed to speak into
8 the record only if recognized by the chairperson.
9 We look forward to a productive meeting.

10 In the spirit of the Federal Advisory
11 Committee Act and the Government in the Sunshine
12 Act, we ask that the advisory committee members
13 take care that their conversations about the topics
14 at hand take place in the open forum of this
15 meeting.

16 We are aware that members of the media are
17 anxious to speak with the FDA about these
18 proceedings. However, FDA will refrain from
19 discussing the details of this meeting with the
20 media until its conclusion. Also, the committee is
21 reminded to please refrain from discussing the
22 meeting topics during lunch or breaks. Thank you.

1 Now, I will pass the meeting to Lieutenant
2 Commander Jennifer Shepherd, who will read the
3 conflict of interest statement.

4 **Conflict of Interest Statement**

5 DR. SHEPHERD: Good morning. The Food and
6 Drug Administration is convening today's meeting of
7 the Medical Imaging Drugs Advisory Committee under
8 the authority of the Federal Advisory Committee Act
9 of 1972.

10 With the exception of the industry
11 representative, all members and temporary voting
12 members of the committee are special government
13 employees or regular federal employees from other
14 agencies and are subject to federal conflict of
15 interest laws and regulations. The following
16 information on the status of this committee's
17 compliance with the federal ethics and conflict of
18 interest laws, covered by but not limited to those
19 found at 18 U.S.C. Section 208, is being provided
20 to participants in today's meeting and to the
21 public.

22 FDA has determined that members and

1 temporary voting members of this committee are in
2 compliance with federal ethics and conflict of
3 interest laws.

4 Under 18 U.S.C., Section 208, Congress has
5 authorized FDA to grant waivers to special
6 government employees and regular federal employees
7 who have potential financial conflicts, when it is
8 determined that the agency's need for a particular
9 individual's services outweighs his or her
10 potential financial conflict of interest or when
11 the interest of a regular federal employee is not
12 so substantial as to be deemed likely to affect the
13 integrity of the services which the government may
14 expect from the employee.

15 Related to the discussion of today's
16 meeting, members and temporary voting members of
17 this committee have been screened for potential
18 financial conflicts of interest of their own, as
19 well as those imputed to them, including those of
20 their spouses or minor children, and for purposes
21 of 18 U.S.C. Section 208, their employers. These
22 interests may include investments, consulting,

1 expert witness testimony, contracts, grants,
2 CRADAs, teaching, speaking, writing, patents and
3 royalties, and primary employment.

4 Today's agenda involves new drug application
5 208630 for five aminolevulinic acid hydrochloride
6 powder for oral solutions submitted by NX
7 Development Corporation for the proposed indication
8 as an imaging agent to facilitate the real-time
9 detection and visualization of malignant tissue
10 during glioma surgery.

11 This is a particular matters meeting, during
12 which specific matters related to NX Development
13 Corporation's NDA will be discussed. Based on the
14 agenda for today's meeting and all financial
15 interests reported by the committee members and
16 temporary voting members, no conflict of interest
17 waivers have been issued in connection with this
18 meeting.

19 To ensure transparency, we encourage all
20 standing committee members and temporary voting
21 members to disclose any public statements that they
22 have made concerning the topic at issue.

1 With respect to FDA's invited industry
2 representative, we would like to disclose that
3 Dr. Richard Alexander Frank is participating in
4 this meeting as a non-voting industry
5 representative, acting on behalf of regulated
6 industry. Dr. Frank's role at this meeting is to
7 represent industry in general and not any
8 particular company. Dr. Frank is employed by
9 Siemens Healthineers.

10 With regard to FDA's guest speaker, the
11 agency has determined that the information to be
12 provided by the speaker is essential. As a guest
13 speaker, Dr. Cameron Brennan will not participate
14 in committee deliberations nor will he vote.

15 We would like to remind members and
16 temporary voting members that if the discussions
17 involve any other product or firms not already on
18 the agenda for which the FDA participant has a
19 personal or imputed financial interest, the
20 participants need to exclude themselves from such
21 involvement, and their exclusion will be noted for
22 the record.

1 FDA encourages all other participants to
2 advise the committee of any financial relationships
3 that they may have with the firm at issue. Thank
4 you.

5 DR. ROYAL: We will now proceed with the
6 FDA's opening remarks from Dr. Alex Gorovets.

7 **FDA Introductory Remarks - Alex Gorovets**

8 DR. GOROVETS: Good morning. My name is
9 Alex Gorovets. I'm a deputy director of the
10 Division of Medical Imaging Products at the Center
11 for Drugs. I would like to welcome this meeting's
12 participants and including the applicant
13 representatives, my FDA colleagues, our guest
14 speaker, of course, and distinguished members of
15 the advisory committee, and all the consultants who
16 are assembled here today.

17 As mentioned already, as we all know, the
18 application we are considering today and seeking
19 advice on is the new drug application for 5-ALA,
20 which is an optical agent for intraoperative use,
21 to visualize malignant tissue during glioma
22 surgery. The rationale is that drug is metabolized

1 by malignant tissue, makes such tissue fluorescence
2 in certain light that's aiding the surgeons. So it
3 sounds very simple.

4 Our guest speaker, Dr. Brennan, will go over
5 the disease and its current treatments. We all
6 know that malignant glioma is known to be a serious
7 and deadly disease, and anything we can do from a
8 public health perspective to advance its treatment,
9 we'll consider quite important.

10 I will go briefly over some relevant
11 regulatory background and then introduce the
12 questions to the committee. Of note, to the
13 imagers here, there's no image interpretation
14 associated with the proposed use of 5-ALA.

15 This drug belongs to a pharmacologic class
16 of optical imaging agents. As with any other
17 imaging drug, we are guided in our regulatory
18 approach by our guidances from 2004, specifically
19 guidance part 2 on the clinical indications.

20 You will hear more about it, but for the
21 purpose of this introduction, I would like to point
22 to the main guiding concepts when it comes to

1 assessing efficacy of an imaging drug.

2 The proposed indication has to be shown or
3 known to be clinically useful, and if so, then drug
4 performance has to be assessed. That is how
5 accurately it does what it claims to do.

6 Our drug regulations require that we approve
7 a drug on the basis of statutory standards for
8 safety and effectiveness. The regulations, and in
9 fact U.S. law, require a demonstration of
10 substantial evidence of effectiveness and define
11 such evidence as evidence derived from adequate and
12 well-controlled clinical investigations, usually
13 more than one.

14 Specifically, in CFR 314.125, we are
15 directed to refuse an approval if such evidence is
16 lacking. And in 314.126, what represents adequate
17 and well-controlled is actually defined.

18 This regulation specifically states that an
19 adequate and well-controlled study uses a design
20 that permits a valid comparison with a control.
21 The relation goes on to actually list the types of
22 controls such as placebo, dose comparison, no

1 treatment, active treatment, and states that such
2 measures as randomization and blinding are
3 recommended to minimize bias in the concurrent
4 control design studies.

5 The same regulation also states that
6 uncontrolled studies or partially controlled
7 studies are not acceptable as the sole basis for
8 the approval of claim's effectiveness. However,
9 it's very important to point out that the section
10 of the regulations on the approval of applications
11 also notes that while the approval of an
12 application takes place after the drug meets the
13 statutory standards that I just described, many
14 drugs in their wide range of uses demand
15 flexibility, and the FDA is required to exercise
16 its scientific judgment to determine the kind and
17 quantity of data needed for approval.

18 Back to the application, the application was
19 granted a priority review, and prior to that,
20 fast-track designation because we have agreed with
21 the applicant that if approved, the drug would
22 address an unmet medical need.

1 Back in 2013, the drug was granted an orphan
2 designation, making it a candidate for orphan
3 exclusivity if approved. It's a so-called
4 505(b)(2) application, because some of the clinical
5 data the applicant believes we would have to rely
6 on for approval does not belong to the applicant,
7 meaning in this case they have published data as
8 well. So for demonstrating efficacy, as you will
9 see, the application relies on 3 clinical studies
10 and 12 publications.

11 For the purpose of this NDA, the sponsor has
12 gone back and selected a primary efficacy endpoint
13 of biopsy level positive predictive value, defines
14 a percentage of fluorescent biopsies that were
15 confirmed as malignant on histopathology.

16 The proposed indication for the 5-ALA based
17 on this endpoint is to facilitate the real-time
18 detection and visualization of malignant tissue
19 during glioma surgery, which we interpret as a
20 single claim of visualization. So it's an imaging
21 claim of visualization.

22 Now, due to the concerns related to whether

1 the primary efficacy data developed by the
2 applicant for the purpose of this application is
3 sufficiently controlled, as you will see, FDA
4 reviewers have also looked at certain clinical
5 outcomes for which controlled data have been
6 available in the application. The examples of
7 relevant clinical outcomes, with the extent of
8 resection, survival, patient-reported outcomes, all
9 these endpoints are more typical for therapeutic
10 trials.

11 For diagnostic imaging drugs, we usually do
12 not ask for clinical outcomes because of multiple
13 confounders. However here, for proper assessment
14 of drugs' risks and benefits, such an approach
15 might be justified. We anticipate this will be an
16 important theme for today's discussion, could some
17 of the controlled clinical outcome data be
18 supportive of what is the imaging visualization
19 claim?

20 I'll go to the questions. I'll go through
21 them pretty quickly so we can move on.

22 Question 1 will be of course on benefits and

1 discuss the efficacy outcomes used in this drug
2 development program and the acceptability for
3 substantiating the proposed claim.

4 In your discussion -- and this will be a
5 discussion question -- please consider each of the
6 following points. The applicant presented data
7 demonstrating the intraoperative visualization of
8 malignant tissue with a calculation of PPV.

9 Discuss the clinical significance of the
10 provided PPD measurement and whether the provided
11 data on malignant tissue visualization are
12 sufficient for establishing efficacy of 5-ALA.

13 1B is discuss potential clinical importance
14 of finding a non-fluorescent tissue being also
15 positive for malignancy on histopathology.

16 1C is one of the efficacy outcomes used by
17 the applicant is an improved completeness of
18 resection defined in post-operative MRI. Please
19 discuss the clinical importance of the "complete
20 resection" and comment on the clinical
21 meaningfulness of using post-operative MRI.

22 1D is assessing totality of evidence of

1 potential benefit of 5-ALA. Please comment on the
2 clinical significance, if any, of the observed
3 improvement in progression-free survival and lack
4 of improvement in overall survival.

5 In your discussion, please comment on the
6 following, whether either should be mentioned in
7 the prescribing information if 5-ALA is approved
8 for marketing in the U.S. and how the outcome of
9 progression-free survival could relate to potential
10 assessment of patient-reported outcomes, and what
11 type of patient-reported outcomes would be relevant
12 in this setting.

13 Now, question 2 also for discussion will be
14 about risk. Please discuss the possible risk
15 associated with increased resection, for example
16 potential for increased neurological deficits or
17 any other safety concerns you might have.

18 Finally, there will be a voting question.
19 Do you recommend the approval of 5-ALA for the
20 proposed indication as an imaging agent to
21 facilitate the real-time detection and
22 visualization of malignant tissue during glioma

1 surgery?

2 With that, I'm going to invite Dr. Brennan
3 to give us a guest speaker presentation. Thank
4 you.

5 DR. ROYAL: While Dr. Brennan is coming up
6 to the podium, I realized there were two committee
7 members who haven't introduced themselves yet. One
8 is myself and the other is Paula Jacobs. I'm a
9 nuclear medicine physician at Washington University
10 in St. Louis.

11 Paula?

12 DR. JACOBS: I'm with the National Cancer
13 Institute in the Cancer Imaging Program.

14 **Guest Speaker Presentation - Cameron Brennan**

15 DR. BRENNAN: First let me thank the
16 audience today for the opportunity to address the
17 panel members and guests and public and provide a
18 background on neurosurgical removal of tumors.

19 I'm going to, in a brief review, go over
20 some of the mechanics of tumor removal and how
21 different classes of tumors affect how we take them
22 out and what the benefits are. So I'll talk about

1 what kinds of tumors we operate on; how the surgeon
2 maximizes extent of resection; what is the
3 advantage of resection for infiltrating in
4 malignant brain tumors; and what is the quality of
5 data on extent of resection, a clinical
6 outcome -- that's a large topic, but I'll just
7 touch on it -- and some of the limitations for
8 studies connecting extent of resection and
9 outcomes.

10 I'm a surgeon in a cancer center, and I put
11 up a pie chart, mocked up a pie chart, representing
12 the kinds of patients that I operate on and treat.
13 And rather than focusing on the pathologies of the
14 tumors, I instead grouped them in a way by their
15 physical characteristics.

16 So there are the benign, well-
17 differentiated, and well-delineated tumors where
18 our surgical control rates are excellent.
19 Metastatic tumors represent a class of tumor where
20 there is microscopic invasion, and they grow within
21 the brain often. And our surgical control rates
22 are still quite good, especially together with

1 adjuvant therapies. Then there are malignant and
2 infiltrating tumors, where our surgical control
3 rates in terms of cure are actually quite low,
4 although surgery can offer many benefits.

5 Here's a cartoon of a brain with a dura
6 layer over top. It looks like I won't be able to
7 project any arrows.

8 I'll show an example of the first kind of
9 tumor, the well-demarcated tumor. This would be
10 typical for a meningioma. And it grows with a very
11 sharp border with the edge of the brain. Here's an
12 example from pathologic section. You can actually
13 see the tumor as well as the space between it and
14 the brain.

15 On an MRI, this is sort of a cartoon of a
16 contrast MRI. You see the tumor lighting up or
17 being bright with contrast material, and that's as
18 a result of the vasculature carrying the small
19 contrast molecules into the tumor, where they
20 accumulate. And it's also because that same
21 phenomenon doesn't happen in the brain, that the
22 brain has a blood-brain barrier that prevents the

1 contrast material from passing in, so the tumor
2 tends to light up whereas the brain does not.

3 Here's just an example of an MRI scan from a
4 patient with meningioma.

5 When we remove a tumor like a meningioma,
6 commonly we will shell it out because that's very
7 safe, and then start to look at the intersection of
8 the tumor with the brain. And because this is very
9 well demarcated, we can dissect this and lift the
10 shell away.

11 The limitation to complete resection is
12 really involvement of the tumor in critical
13 structures that we can't remove.

14 The second class of tumor is represented by
15 a metastatic tumor and that is one that is growing
16 within the brain and is locally invasive. And what
17 I mean is, it has a sharp border in most cases, but
18 if you look microscopically, tumor cells extend 2,
19 5 millimeters away. Here's an example of a
20 pathologic section with the tumor on it. I don't
21 know if it projects well, but I can highlight the
22 tumor there.

1 This is a cartoon of the contrast MRI, and
2 you'll see this is a little bit different. First,
3 the tumor is dark in the center, and that's an area
4 where there's little blood flow, often necrosis or
5 dead tissue. It's not always the case, but it's
6 common.

7 Around the outside, you see contrast
8 enhancement, but it's blurry. And one of the
9 reasons it's blurry is that the contrast that we're
10 looking at, the enhancement, is not really marking
11 the tumor. It's marking the blood vessels within
12 the tumor and also feeding the tumor. The tumor
13 cells are largely confined to the capsule, but also
14 extend into adjacent parenchyma by a few
15 millimeters.

16 So here's an example of a metastatic tumor.
17 And the tumor itself is actually the gray inner
18 object. And the blurry haze of contrast around it,
19 that's actually enhancement in the blood vessels
20 that are feeding the tumor. So again, the MRI is
21 not necessarily marking the tumor, but is marking a
22 secondary marker that we use to contrast the tumor.

1 So these are removed similarly to
2 meningiomas with the understanding that, along the
3 border, there can be microscopic disease left
4 behind.

5 Fortunately, because the degree of invasion
6 is very short, on the order of millimeters, that
7 microscopic disease can later be treated with
8 focal, other treatments, sometimes radiation. And
9 the main limitation, again, is if the tumor is
10 involved in critical structures, then we can't
11 remove it.

12 Then the topic mainly for today is the third
13 class of tumor, the infiltrating tumor. Now this
14 is typified by glioblastoma, the most common tumor
15 arising in adults in the brain from brain cells.
16 So the cartoon that I've marked out has an outer
17 edge here that is often highly vascular and looks
18 very different than the adjacent brain. The inside
19 is often necrotic and has poor vascularity.

20 But then the invasive component fades away,
21 could be fading as tumor cells are migrating into
22 adjacent brain. This transitional area of tumor

1 could be a centimeter or it could be 5 inches. The
2 tumors can be relatively confined or they can cross
3 even to the other side of the brain.

4 In this area, you'll transition from a
5 histologic cut where you would see nearly a hundred
6 percent tumor cells, and vascular architecture, and
7 inflammatory cells, let's say, at the edge, to way,
8 way out at the periphery, where you might see
9 1 tumor cell for every 100 normal brain cells.

10 So the surgeon has to consider -- as they're
11 resecting, they have to consider what the
12 transition area is and where they're going to stop.
13 And this is typically done with our eyes and with
14 looking at the color of the tissue, and what kinds
15 of blood vessels do we see in it, and what is the
16 texture. But we'll talk more about that.

17 Here's an example of a pathologic section,
18 showing you a glioblastoma here. And you can see
19 that it doesn't displace the brain. It actually
20 replaces it. And it can have distant migration,
21 shown by additional deposits.

22 An MRI schematic for GBM shows, again, a

1 necrotic center, poor access of agents in the blood
2 to reach here, contrast-enhancing edge, which is
3 also blurry for similar reasons. Only now, the
4 blurriness of the contrast-enhancing edge extends
5 over a wider territory. It's more heterogeneous
6 because the tumor itself doesn't have a sharp
7 border, an example of the glioblastoma with a dark
8 center and bright rim, but also contrast
9 enhancement that's wandering out.

10 There's another feature which is darkness on
11 either side. That reflects on the MRI a loss of
12 signal on our conventional contrast MR. And we
13 think in those areas, or we know, that it's an area
14 of the brain where there is both a high chance of
15 tumor cells to hide and to actually change that MR
16 signal, but also water that's forming edema or
17 swelling that comes from the tumor.

18 So to remove these tumors, we can approach
19 them with an internal debulking, but then we're
20 left with the challenge of determining where to go
21 next.

22 So the most obvious pathologically or

1 actually visually distinct tumor would be close to
2 the sharp-enhancing edge, and sometimes we can see
3 that visually; sometimes we can use MRI.
4 Intraoperative MRI might be able to determine it.
5 But there are also going to be boundaries where
6 you're up against normal brain and where you're up
7 against infiltrating tumor that may be hard to
8 distinguish.

9 An intraoperative MRI taken at that time may
10 show enhancement remaining, and of course that
11 could be removed. But right along the edge, the
12 MRI I've shown in white here, it's not because it's
13 necessarily enhancing on the edge, but the edge is
14 a difficult place for the MRI to resolve any
15 residual. It has to do with how the magnetic field
16 is affected by blood products and by air that may
17 be in there.

18 So just right at the few millimeters around
19 a cavity is an area where an intraoperative MRI
20 scan is a little less sensitive.

21 So we talked about this dark signal around
22 the tumor and how some of it could represent

1 infiltrative tumor and some of it can represent
2 edema. So that's not very helpful in determining
3 where to stop. So again, we may continue the
4 resection into the non-enhancing tumor, but that
5 decision is made based on a judgment of the visual
6 appearance of the tumor, the texture, and then also
7 what the surgical risk is and benefit to the
8 patient.

9 Then there are non-enhancing versions of
10 these tumors where there's an abnormality that's
11 visible but less dramatic. You can see
12 here -- actually, it's so vague, it's almost hard
13 to see -- a pale and expanded area where tumor
14 cells have really intercalated and displaced the
15 brain. And on the MRI, these are non-enhancing.
16 You'll see sometimes dark in this form of MRI,
17 shown here for an example of non-enhancing tumor.

18 This dark area is where tumor is
19 infiltrated. Here's a different version of FLAIR
20 MRI, where we enhance -- or not enhance, we
21 specifically turn that invaded and endogenous area
22 white just to get it to stand out better. And we

1 can do a good resection for a tumor like this based
2 on visual features like texture, but again, it's a
3 challenge. And these tumors, both low- and high-
4 grade gliomas, as I pointed out, can extend very
5 broadly throughout the brain.

6 What do we use to maximize safe, surgical
7 resection of tumors? Obviously, advanced
8 neuroimaging has been critical, including anatomic
9 imaging, metabolic imaging like PET, which can show
10 us particular areas to target, as well as imaging
11 of the function and functional anatomy of the brain
12 in order to try to keep us safe, and those include
13 functional MRI or additional anatomical views like
14 diffusion tractography.

15 This is just a slide to represent there are
16 other ways we can be safe. We can do operations
17 with patients awake and to do cortical mapping
18 around the area of a tumor in order to know where
19 it is safe. Just to give you an idea of how hard
20 it can be to see a tumor, this is where the tumor
21 resides. It's discolored. If you're used to
22 looking at brains, you can see it, but obviously it

1 can be subtle.

2 We keep track of where we are in the brain.
3 Originally, this was done by frame-based
4 navigation. The frame was screwed to the skull
5 under local anesthesia. These are still used today
6 for needles and catheters.

7 We've moved fortunately to adaptable
8 frameless systems where a camera system can track
9 objects in 3D, and we can recut the MRI scan
10 dynamically as we're moving an instrument to show
11 us where we are in the brain based on the
12 pre-operative imaging.

13 We can mark out the boundary of a tumor.
14 Like in this case, we can mark out contrast
15 enhancement. Although again, when we look where
16 the water and the invaded tumor are, they certainly
17 extend far beyond that. We can use navigation to
18 help us to resect a target in that sense by
19 tracking our progress.

20 The limit of navigation is that as you're
21 removing a tumor from the brain, there is shift of
22 the brain and of the tumor cavity just because of

1 loss of buoyancy and the effects of gravity.

2 This is an image taken from an
3 intraoperative MRI. An intraoperative MRI
4 certainly gives us the ability to visualize that
5 shift and get a new map for navigation so that if
6 there's a little bit of tumor remaining, we can
7 identify it.

8 This is one example of a lay-out for an MRI
9 that's at our institution. The head is actually
10 outside the main 5-gauss line of the magnetic
11 field, so we can operate with conventional
12 instruments there.

13 As an example of a case that's very well
14 suited for additional visualization beyond what we
15 can do with our eyes, this is an example of a
16 patient with a biopsy, proven pilocytic
17 astrocytoma.

18 Now, that's a benign tumor in the sense that
19 it can be cured if we can remove it completely.
20 But it is deeply located and it is an area of the
21 brain that is quite heterogeneous with the nuclei
22 and with the lining of the ventricle. So operating

1 down a very narrow channel, it can be difficult to
2 determine if you've gotten the entire tumor out. A
3 visualization aid like intraoperative MRI allows us
4 to pick up the remaining tumor and target it for
5 resection at that time.

6 I'm going to just diverge for a second and
7 comment on intraoperative MRIs. I'm using it
8 almost as a landmark for visualization, and the
9 reason is simple. We use MRIs to diagnose tumors.
10 We also use MRIs after surgery to see how much was
11 removed.

12 We're trained to interpret MRIs to decide
13 how much tumor we're going to take out based on
14 those scans. So it is an imperfect visualization
15 tool, but it serves as a very useful reference
16 because of its universal adoption in pre- and post-
17 tumor resection assessment.

18 When we installed the scanner in 2007, we
19 used it for a variety of cases, but after a while,
20 we've realized it was really most useful for these
21 infiltrating tumors, high-grade and low-grade
22 gliomas. It also has a very good use for benign

1 tumors like pituitary tumors where visualization is
2 otherwise impaired.

3 The utility, we've really discussed. The
4 limitations are, again, artifacts at the cavity
5 edge after we've removed the tumor can limit
6 sensitivity for tumor there. We cannot distinguish
7 non-enhancing tumor from edema or swelling. And
8 then there is not insignificant cost for a magnet
9 that's well-underutilized when you compare it with
10 a diagnostic MRI serving 1, 2, 3, 4 patients at
11 best a day.

12 There has been continued and renewed
13 interest in optical probes for imaging brain tumor.
14 This was first introduced in 1948 with a
15 description of fluorescein for a localization of
16 brain tumors. That particular application really
17 hasn't been well developed in part because an agent
18 like fluorescein marks blood vessels and disrupted
19 blood-brain barrier more than it does tumor in
20 particular.

21 But there are many methods under
22 investigation, including looking for intrinsic

1 optical signals from the tumor. And that can be
2 fluorescence or Raman spectroscopy, tumor-specific
3 markers like 5-aminolevulinic acid or chlorotoxin
4 dye conjugates, and nanoparticles which can be
5 tumor targeted and can be multi-modal in terms of
6 visualization. So again, these are under
7 investigation.

8 So given the cartoon models that I've shown
9 you, it's just useful to look at what an optical
10 tumor marker might have to do if it were to perform
11 ideally and some things to think about when looking
12 at investigational markers.

13 First, most markers are unable to pass
14 through the blood-brain barrier. I don't want to
15 speak generally, but that is generally true because
16 most molecules can't pass through the blood-brain
17 barrier, most drug molecules. They may pass
18 through where the barrier is disrupted. So right
19 around the edge, where we see contrast enhancement
20 in this kind of tumor, this is an area where all
21 sorts of agents can pass through.

22 An ideal marker, even though it may be

1 constrained to access the tumor through disruptive
2 blood-brain barrier, we would want it to be
3 reliable in labeling the tumor, both inside and
4 outside. And in an ideal case give us a visual
5 correlate of the tumor cell density. That's just
6 what you would prefer. It would be an adjunct to
7 making that same call by looking under white light
8 with your eye.

9 But it's also important that the marker not
10 extend beyond tumor and potentially lead the
11 surgeon into normal areas of the brain. And
12 actually, when you think of -- I saw positive
13 predictive value come up in the introductory talk.
14 When you think about it, that's a really key
15 factor, both positive and negative.

16 False positives and false negatives are
17 really quite important and potentially meaningful
18 to the patient. False negatives, you would run the
19 risk of leaving the tumor behind, although all of
20 our methods using our eyes incorporate a false
21 negative. We always do leave tumor behind in
22 infiltrating gliomas.

1 False positives are more of a concern
2 because they could potentially lead to surgical
3 resection in any area that doesn't contain tumor or
4 contains only a trivial amount. I won't go into it
5 very much, but for non-enhancing tumors, the
6 delivery of agents to the tumor is a concern, so
7 for lower-grade gliomas.

8 So the goals for surgery for these tumors,
9 for these infiltrating tumors, are really to
10 establish the diagnosis, decrease tumor burden,
11 relieve symptoms, improve neurologic function,
12 extend duration and quality of life, and cure the
13 patient.

14 I put them in order. This is in order of
15 what we can hope to achieve. Duration, and quality
16 of life, and curing the patient, we will fight for
17 that as much as we can, but there's a limit to what
18 surgery can do.

19 For glioblastoma, this was again the most
20 common adult brain tumor, the balance of how
21 patients do is affected by certain patient factors
22 like age and how their neurologic and performance

1 status are, the tumor size -- tumor factors like
2 size, location, histopathology, molecular markers.
3 Those are things that are presented to us when the
4 patient arrives. Then there were modifiable
5 factors like extent of resection and adjuvant
6 therapies.

7 This is an interesting comparison of how
8 patients with glioblastoma who receive very similar
9 therapy have done up to 1978 and then in the more
10 modern era. And I'll draw your attention to the
11 solid and red dashed lines.

12 There's been a clear improvement in survival
13 from patients who have received surgery and
14 radiation alone. Radiation really hasn't changed
15 since the '70s. What has changed? Well, the
16 advent of MRI, of operating microscope, of better
17 neuroanesthesia, the advent of antibiotic usage.

18 This difference in survival for these
19 patients is not really attributable to any one
20 thing, but to advancements in multiple
21 technologies. And actually, this kind of progress
22 continues and also doesn't reflect other important

1 improvements in outcome like shorter length of stay
2 for patients, less morbidity. Surgery has become
3 since the '60s and '70s a much more tolerable and
4 moderately more effective therapy, but not because
5 of any one technology.

6 The literature on extent of resection and
7 the benefit is, on the one hand, easy to
8 understand. It's all retrospective, observational
9 studies. And the quality of those studies vary,
10 but the problems with the retrospective study don't
11 really vary.

12 Then the main problem is bias. A patient
13 who presents with a tumor in a very safe area of
14 the brain or with a very small tumor might get a
15 more aggressive resection. They may do better
16 having nothing to do with the resection, but
17 because they had a tumor in a safe area of the
18 brain. Or a patient who is younger might be
19 treated more aggressively just because of natural
20 bias in the practitioners.

21 The standard of care, if you stopped any
22 neurooncologist or neurosurgeon, for infiltrative

1 gliomas is maximal safe resection. And that's
2 really the conclusion based on over half a century
3 of studies on the management of these tumors. But
4 if you try to really pin down what is the exact
5 benefit of extent of resection, you're left with
6 these retrospective studies because we can't
7 randomize patients to receive a complete resection
8 or not.

9 There has been in this over half a century
10 numerous papers, and recently some really excellent
11 reviews and summaries such as this one from 2016 by
12 Hervey-Jumper and Mitch Berger.

13 In this, they take all of the studies they
14 could find that fit the criteria for grade 3 and
15 grade 4 of this glioblastoma and the grade just
16 below anaplastic astrocytoma, and they separated
17 the studies into non-volumetric and volumetric.

18 A volumetric study is one where usually a
19 blinded radiologist scores the volume of tumor
20 after surgery and before surgery so that the
21 judgment of the surgeon isn't involved.

22 Non-volumetric studies rely on non-volumetric

1 measures. It could be the surgeon makes a call
2 about how much was removed or it's a classification
3 of gross total removal or subtotal removal.

4 The volumetric studies are perhaps more
5 systematic. In the review, they separated them
6 between those that found a benefit of extent of
7 research with outcome measures and those that found
8 no benefit.

9 In summary, these were all retrospective.
10 Most are level 3 evidence. I'd say essentially all
11 are level 3 evidence except for 1 level 2B study;
12 23 studies in favor of an advantage for extent of
13 resection and outcomes, 11 against. All volumetric
14 studies show a benefit.

15 Again, it's very difficult to discern in the
16 data how much you'd need to remove before patients
17 benefit. And I will simply say, for the purpose of
18 discussion, it is somewhere around 80 percent seems
19 to be a consensus. Eighty percent of the contrast
20 enhancement of a glioblastoma needs to be removed
21 before you see a benefit in terms of an oncologic
22 control of that tumor. There could be benefits to

1 lesser resection, benefits to the patient and in
2 having them feel better, relieving symptoms,
3 getting diagnosis.

4 There's one study that's the level 2B study
5 that's worth showing because it's not really about
6 whether there's a significant extent of resection
7 benefit, but really is it substantial, is it useful
8 to patients.

9 This is probably the best quality data we
10 have in a single study due to the way that patients
11 were accrued in the study. They were randomized to
12 receive white light versus a fluorescence-guided
13 resection. And the fluorescence-guided resection
14 group got more gross total resections.

15 So when they went back and reanalyzed, they
16 pooled. They looked at all the patients regardless
17 of how surgery was done, who got gross total
18 resections, and all those that had subtotal. In
19 doing so, this dataset is enriched for variance in
20 gross total versus non-gross total resection that
21 was partially randomized. So in this case, we've
22 got some signal that's partially randomized in

1 extent of resection.

2 What it showed is actually concordant with
3 the rest of the observational data that between 4
4 to 6 months, a shift in mean overall survival, or
5 median overall survival, seemed to track with gross
6 total resection.

7 So what is that difference? Well, that
8 difference is on the order of a difference between
9 patients who are young and old in terms of how well
10 they do on average. It's also comparable to the
11 difference between patients who come in
12 neurologically impaired or not. So it's a
13 substantial difference.

14 There are many limitations to this
15 particular study. I'll just point out these were a
16 subset of patients who had glioblastomas
17 specifically that the surgeon felt could be
18 completely removed. So we're looking at a subset
19 of patients.

20 I won't go into the literature for lower-
21 grade tumors, but there, I think the evidence for
22 resection is perhaps stronger in terms of benefit.

1 But I put the slide up just to show that, as with
2 high-grade tumors, these are retrospective studies.

3 So what are the main limitations of studying
4 intervention and outcomes in glioma? Gliomas are a
5 heterogeneous group of tumors with variable
6 prognostic and predictive features. They're rare
7 and therefore it's difficult to accrue patients to
8 clinical trials.

9 Many patients are treated outside of
10 academic centers and are lost to study. And there
11 are viable treatment courses, including timing and
12 extent of surgery and adjuvant therapies.
13 Progression is often associated with neurologic
14 problems in patients, and this limits their
15 participation in additional clinical trials.

16 In conclusion, infiltrating tumors such as
17 gliomas present our greatest challenge for
18 achieving maximal safe resection. The benefits of
19 this are supported by level 3 evidence, and the
20 quality of this evidence is unlikely to change in
21 the next years.

22 Visual assessment of tumor under white light

1 during surgery remains the standard of care in the
2 United States. Advancement in diagnostic,
3 surgical, and medical technologies have improved
4 patient outcomes incrementally and substantially
5 over time. So I want to thank you.

6 (Applause.)

7 **Clarifying Questions**

8 DR. ROYAL: Thank you very much,
9 Dr. Brennan.

10 Are there any clarifying questions for
11 Dr. Brennan? Please remember to state your name
12 for the record before you speak.

13 DR. JACOBS: I have a question. Paula
14 Jacobs. You mentioned the use of intraoperative
15 MRI. How common is that? How commonly available
16 is that?

17 DR. BRENNAN: It is not common. If you look
18 at all of the hospitals where patients with brain
19 tumors are operated on, it's quite uncommon.

20 DR. JACOBS: So this would not normally be
21 available to most patients?

22 DR. BRENNAN: That's correct.

1 DR. JACOBS: Thank you.

2 DR. ROYAL: Dr. Frank?

3 DR. FRANK: Yes, Richard Frank, industry
4 rep. Two points of clarification if I may, please.
5 One is, you mentioned that pre- and post-operative
6 MRI are widely adopted. And you discussed
7 intraoperative MRI, but you didn't characterize
8 this in terms of how widely available that is.

9 Could you characterize that, please?

10 DR. BRENNAN: I'm not sure that I'm in a
11 position to give numbers for access to
12 intraoperative MRI, if that's the question. It is
13 easy to say it's not widely available. It tends to
14 be placed in academic centers or very busy,
15 clinically busy, centers.

16 It's increasingly available. It has other
17 applications outside of tumor resection. Those
18 applications even involving surgeries elsewhere in
19 the body, as they are established as important and
20 effective, we may see it increasingly.

21 Right now, honestly, if you look at a
22 patient who presents to an emergency room with a

1 newly diagnosed brain tumor and they're getting
2 their diagnosis, quite often, that's where the
3 patient will be operated on. And it is unlikely in
4 that ER that the hospital associated would have an
5 intraoperative MRI scanner.

6 DR. FRANK: Second question. You
7 characterized the extent of resection above which
8 there is clear benefit as being 80 percent of the
9 contrast-enhanced area.

10 DR. BRENNAN: For a glioblastoma, yes.

11 DR. FRANK: Yes. Could you go one step
12 further and say more is better? Is it simply a
13 binary above and below 80 percent?

14 DR. BRENNAN: I see. Yes, yes. So that is
15 an important point. More is clearly better. In
16 fact, the early studies looked for a signal in
17 terms of extent of resection at 97, 98, 99 percent.
18 That's where you could most easily discern a
19 benefit in terms of progression-free and overall
20 survival. Then later on, the question became what
21 is the minimum amount that we need to achieve
22 before benefitting patients.

1 This question is really unresolved. So what
2 80 percent represents is that in studies now,
3 volumetric studies where a specific analysis and
4 study design are built to empower that kind of
5 analysis, there is same quality level 3 evidence
6 that you see a benefit down to 80 percent, maybe
7 70 percent, in high-grade tumors. In lower-grade
8 tumors, there appears to be a benefit to even less
9 of an extent of resection.

10 DR. FRANK: Thank you.

11 DR. ROYAL: Dr. Gilbert?

12 DR. GILBERT: Cameron, what would you say is
13 the biggest challenge to achieving a gross total
14 resection?

15 DR. BRENNAN: Well, we do use intraoperative
16 MRI. And I wasn't trained on that, so I can say
17 that our resections before and after -- the
18 intraoperative scanner takes away much of the
19 challenge of getting a gross total resection in a
20 contrast-enhancing tumor. Now, that's different.

21 But we still are not infrequently surprised
22 by contrast enhancement at the edges, where the

1 MRI, it simply doesn't give us an accurate
2 read-out. I think, for all surgeons, the major
3 limitation is simply where the tumor grows, that
4 you may simply have to stop your resection for
5 patient safety before you can get to a gross total
6 resection. Those are the main challenges.

7 DR. HERSCOVITCH: Just to clarify a point of
8 terminology, the terms "complete resection" or
9 "gross total resection" are frequently used, but is
10 it correct to say that that is really the immediate
11 post-operative MRI evaluation, perhaps contrast
12 enhancement, but almost certainly because of the
13 infiltrative nature and the lack of blood-brain
14 barrier breakdown surrounding the tumor?

15 That doesn't mean that you've resected all
16 the tumor. You've just resected primarily the MRI
17 radiographic portions of the tumor.

18 DR. BRENNAN: You are exactly right, and not
19 just the MRI radiographic, but in these studies of
20 high-grade tumors, it's the contrast-enhancing
21 portion.

22 DR. HERSCOVITCH: Right. Yes.

1 DR. BRENNAN: So the infiltrated area may
2 also be amenable to resection. That also may be
3 important to resect, but when we study the effects
4 of gross total resection or extent of resection,
5 it's with regard to the contrast-enhancing area of
6 the tumor.

7 For two reasons, one, it's measurable and we
8 know what that is. We don't know what the
9 infiltrative signal is. It could be water. It
10 could be tumor. And number two, the area that's
11 contrast enhancing happens to correspond with an
12 area that's grossly abnormal to the eye.

13 DR. ROYAL: Dr. Hackney?

14 DR. HACKNEY: Sorry. I think we are running
15 up against time, so I hope these are short. There
16 are two questions I'll ask him about.

17 How often do you find that you have
18 unexpected residual-enhancing tumor on your post-op
19 MR? So that's tumor; you thought you got all the
20 enhancing material out during surgery, but then the
21 post-op MR shows some residual-enhancing tumor.

22 Then the second is, to the extent that this

1 new technique is intended to find tumor that you
2 would not have planned to have resected based
3 solely on the contrast enhancement properties, do
4 you have an opinion about how well that lets you
5 map onto whatever your guidelines for safe
6 resection might have been; that is, your knowledge
7 of the patient's anatomy, or your pre-op imaging,
8 or your FMRI, or corticography to say, that looks
9 like tumor, but it's not safe to take it out, or
10 that looks like tumor, and I can go ahead and
11 remove it?

12 DR. BRENNAN: You asked a short first
13 question and a long second one. The first answer
14 is, before using intraoperative MRI, just using
15 eyes alone, if a patient came in with -- if we felt
16 we achieved a gross total resection, I would say we
17 were surprised on the post-operative scan at least
18 a third of the time by some area. After the use of
19 MRI, that's reduced perhaps to 10, 20 percent.

20 The second question is in part asking why do
21 we continue resection outside of what's contrast
22 enhancing. Well, I said it looks grossly abnormal

1 and that the adjacent area is also grossly
2 abnormal, especially if it's in a safe area, and we
3 know that the tumor will continue the resection.
4 The stopping point, we really didn't spend much
5 time on that. We talked about maximal resection.
6 Maximal safe resection means your stopping point.

7 So we're trained to use our eyes to
8 determine when we think the bulk of the tumor, the
9 area that's 80, 90, 100 percent tumor cells, when
10 that's starting to fade and transition into more
11 normal brain, 50 percent tumor, 50 percent normal,
12 even 10 percent tumor, 90 percent normal, and at
13 the same time, to track where we are in the
14 functional brain in order to avoid injury.

15 Whenever a new technology is brought
16 in -- and that includes intraoperative MRI, but
17 also optical markers -- surgeons need to be
18 retrained so that they use that additional
19 information, that new information, with the same
20 skill and judgment of what's implied.

21 So I've been trained to know when a tumor is
22 beginning to look like it infiltrates into normal

1 brain to the point that function could be injured,
2 and it's an imperfect judgment. But we would need
3 to be trained with intraoperative MRI or any other
4 tool in the same way so that we know what our
5 stopping spots are.

6 DR. ROYAL: Dr. Roberts?

7 DR. ROBERTS: Excuse me. You mentioned a
8 30 percent residual tumor before intraoperative
9 MRI. Was that including with neuronavigation,
10 which might be more widely available?

11 DR. BRENNAN: Yes, yes. And that's for
12 patients where at the time of surgery, we thought
13 we had gotten the entire -- I'm not saying that we
14 achieved 70 percent gross total resections. I just
15 mean, in the subset of patients where we thought at
16 the end of surgery we must have gotten all the
17 enhancement, I would say at least a third of the
18 time, there'd be some area that was a surprise.

19 There are other neurosurgeons here today,
20 and I think that's a question, not something that
21 we necessarily score and have good numbers on, but
22 something that maybe other surgeons can provide

1 their opinion on, too.

2 DR. ROBERTS: I guess I'm just to raise the
3 question that intraoperative MRI is not widely
4 available, but neuronavigation is more widely
5 available. I'm wondering how that reduces the
6 amount of residual tumor.

7 DR. BRENNAN: So when we are surprised by
8 tumor, it's often along an edge. Because the brain
9 shifts when the skull is opened, the resolution of
10 neuronavigation, it varies case to case. But it
11 can be, typically, during a large craniotomy,
12 typically -- and it's been reported and measured.
13 There's about a 2-centimeter shift in the brain
14 compared to the pre-operative scan.

15 So wherever you touch the pointer, you're
16 about 1 to 2 centimeters off, typically. And 1 to
17 2 centimeters is the width of a gyrus, one of the
18 folds in the human brain. So we're not able to
19 rely on navigation as well throughout the case.
20 And the more tumor you remove, the shift becomes
21 greater and greater.

22 DR. ROYAL: Dr. Zamorano, did you have a

1 question?

2 DR. ZAMORANO: Just maybe you can comment
3 and clarify. In terms of the resection of tumors
4 using intraoperative MRI, the goal is to remove the
5 enhancing portion. So how many times do you run,
6 on average, this intraoperative MRI sequence to
7 remove the tumor?

8 This is just to make sure that some members
9 of the panel understand that this intraoperative
10 MRI is obviously not an online MRI, that it's just
11 a sequence that has been -- or studies that have
12 been done after certain intervals of time, but
13 obviously this takes time by itself.

14 So how many times on average do you run
15 this?

16 DR. BRENNAN: The average would be
17 1.1 times. So about 9 cases out of 10, it's just
18 one intraoperative MRI assessment, and then
19 occasionally, we'll do a second one. That's just
20 our practice.

21 Each time we get a scan, we have to
22 interrupt surgery. The patient has to be covered

1 and draped. They have to be brought into the
2 magnet, then the room cleared. All the electricity
3 goes off except for the critical equipment. The
4 scan is taken over about 20 minutes, and then the
5 patient is brought back out, reregistered in the
6 navigation system, redraped.

7 So it can be an interruption of a half an
8 hour or 40 minutes. It also pays back in terms of
9 speed of surgery when you know what you're taking
10 out is enhancing tumor. It gives the surgeon an
11 idea, a map that we have another 1 centimeter to go
12 in this direction, then 2 centimeters, and we can
13 move through that space more quickly.

14 So not all of the time taking the scan is
15 lost time to the patient. We actually gain some
16 back by having that updated map. I hope that
17 answers the question.

18 DR. ZAMORANO: So just to clarify, in most
19 cases, when you say it's just one time, it means
20 that you run an intraoperative MRI and you assess
21 that you did a resection as you had planned.

22 So the intraoperative MRI really didn't add

1 any good picture, or this means that every time you
2 run one MRI again, and then you do another
3 resection?

4 DR. BRENNAN: Yes. So I would say in about
5 half the cases -- just to give you an idea of our
6 experience with it, about half the cases at the
7 time we think we're done with our white-light
8 assessment, the MRI confirms it. In about half the
9 cases, we find additional tumor and then target it
10 for resection.

11 But if what we see -- so the patient's
12 brought back out. We have a map. We have new
13 neuronavigation. We can point directly at the area
14 of residual tumor. We go in and look at it. If
15 what I see with my eyes matches the MRI -- if I see
16 the tumor, and I see that it has edges that if I
17 get to it, that is removed, then we don't get
18 another scan just to document it. It's a balance
19 of patient interest and the additional time needed.

20 DR. ZAMORANO: Thank you.

21 DR. ROYAL: If there are no other clarifying
22 questions, we can proceed to the sponsor's

1 presentation.

2 **Applicant Presentation - Alan Ezrin**

3 DR. EZRIN: Good morning, advisory committee
4 members, FDA representatives, and attendees. My
5 name is Alan Ezrin. I'm the founder and president
6 of NX Development Corporation, and we are the
7 sponsor of the new drug application for
8 5-aminolevulinic acid, also known as 5-ALA.

9 This slide summarizes the proposed
10 indication for 5-ALA. 5-ALA is an imaging agent to
11 facilitate real-time detection and visualization of
12 malignant tissue during glioma surgery.

13 For patients with primary brain tumor,
14 maximal, safe surgical resection is the first step
15 in the standard of care worldwide followed by
16 treatment with radiation and chemotherapy.

17 The reality you will hear today is that this
18 is a lethal disease. Brain tumors cannot be
19 completely removed, and the extent of resection is
20 a primary driver of survival. Despite progress in
21 the field, the majority of surgical cases failed to
22 achieve complete resection, and these tumors

1 returned within 6 to 12 months, leading to the
2 death of the patients.

3 Malignant glioma is a rare and serious life-
4 threatening tumor. Unlike other solid tumors, it
5 is highly infiltrative and difficult to delineate
6 the margins.

7 The image on the left is a solid tumor and
8 cell culture illustrating the tentacle-like
9 invasiveness of this tumor. The tumor is very
10 difficult to approach based upon the heterogeneity
11 of the border. Clean margins are virtually
12 impossible to achieve.

13 The goal is to maximize resection and
14 preserve vital brain regions. The problem is that
15 the surgeons can only remove what they see.

16 Fluorescent-guided surgery using
17 5-aminolevulinicacide, as shown on the right, is
18 the topic of today's discussion. It provides the
19 neurosurgeons with real-time visualization for
20 accurate and precise information on the location
21 and the extent of the tumor.

22 5-ALA is orally administered to patients

1 three hours prior to surgery. It is a prodrug,
2 preferentially taken up by glioma tumor cells and
3 metabolized in all cells to its fluorescent
4 metabolite, protoporphyrin IX.

5 Protoporphyrin IX is in everyone's body as
6 an intermediate and hemoglobin synthesis.

7 Protoporphyrin IX selectively accumulates visible
8 levels in tumor cells and not in normal cells.

9 Upon illumination with filtered white light
10 from the microscope, which we will refer to as blue
11 light, the protoporphyrin IX can clearly be seen by
12 the surgeon using the standard microscope.

13 Fluorescence indicates malignancy with a high
14 predictive accuracy, allowing surgeons to make
15 critical real-time decisions.

16 The clinical development of 5-ALA for brain
17 tumor visualization was conducted by our colleagues
18 at Photonamic and Medac who conducted six clinical
19 trials in Germany to support the registration in
20 Europe in 2007. We have partnered with this team,
21 who are here with us today, to bring 5-ALA to the
22 neurosurgeons in the United States.

1 Currently, 5-ALA, known as Gliolan, is
2 available to neurosurgeons for the visualization of
3 glioma in 40 countries worldwide. To date, the
4 worldwide experience is over 58,000 exposures.

5 NX Development Corporation holds the
6 commercial license in the United States. 5-ALA
7 received orphan drug designation in 2013 and a
8 priority review in 2017. We have participated in
9 several meetings with the agency pertaining to the
10 use of the European clinical data in its totality
11 along with peer-reviewed publications demonstrating
12 the safety and the efficacy of 5-ALA. These data
13 were submitted in December of 2016 in our new drug
14 application and are summarized in your briefing
15 document.

16 As you will hear today, 5-ALA is not
17 curative, but a vital imaging tool that allows
18 surgeons to achieve the procedural goal, to safely
19 minimize the amount of tumor left behind. As a
20 cancer survivor, I have a personal motivation to
21 bring this imaging tool to neurosurgeons in the
22 United States.

1 During our presentation, we will review the
2 highlights of the development program and the
3 benefits that are associated with 5-ALA. I am
4 pleased to be joined by my European and U.S.
5 colleagues who have trained over 400 of their
6 colleagues in the use of 5-ALA. They will be
7 presenting with me today.

8 Dr. Hadjipanayis is the director of
9 neurosurgical oncology at Mount Sinai Hospital in
10 New York City. He holds an investigator-sponsored
11 IND and has used 5-ALA in over 100 surgical cases.
12 Dr. Hadjipanayis will be speaking next about the
13 standard of care in glioma surgery and the use of
14 5-ALA in clinical practice.

15 We are also joined by Professor Stummer from
16 the University of Munster, who is the chair of the
17 department of neurosurgery. Professor Stummer and
18 his research teams brought 5-ALA from the
19 experimental setting to the clinical use and
20 approval in the rest of the world. He was the
21 principal investigator for the clinical studies
22 conducted to support the European approval, which

1 are contained in our new drug application and serve
2 as the centerpiece for our submission.

3 Dr. Stummer has published over 43 peer-
4 reviewed publications on the use of 5-ALA to
5 visualize glioma tissue. Dr. Stummer will be
6 presenting the clinical efficacy and safety data.

7 Dr. Hadjipanayis will return to summarize
8 the benefit and the risk profile of 5-ALA. I will
9 then offer some concluding thoughts.

10 At this time, I'd like to introduce
11 Dr. Constantinos Hadjipanayis.

12 **Applicant Presentation - Constantinos Hadjipanayis**

13 DR. HADJIPANAYIS: Good morning. My name is
14 Constantinos Hadjipanayis, and I am professor and
15 chair of neurosurgery at Mount Sinai Beth Israel
16 and director of neurosurgical oncology for the
17 Mount Sinai Health System. I am a consultant
18 medical advisor for NX Development Corporation. I
19 also have a financial interest in 5-ALA.

20 In order to set the context for
21 visualization of tumor during glioma surgery, I
22 will first briefly cover glioma incidents,

1 prognosis, presentation, as well as standard of
2 care, and the goal of safe, maximal extent of
3 resection.

4 I will discuss the limitations of current
5 available tools for glioma surgery and what the
6 current unmet need is. Finally, I will lead us
7 through 5-ALA and fluorescence-guided surgery for
8 real-time visualization of tumor.

9 As you heard, gliomas are brain tumors which
10 occur in 14,000 to 30,000 patients a year. The
11 majority of these are malignant gliomas which are
12 World Health Organization grade 3 and 4 high-grade
13 tumors. The most common of these are glioblastoma,
14 also known as GBM.

15 These are deadly tumors. Patients can live
16 anywhere from 15 to 36 months despite any type of
17 therapy including surgery, radiation therapy, and
18 chemotherapy. Gliomas are devastating tumors with
19 no cure. They rarely metastasize. Most commonly
20 after surgery, they grow back in the area where the
21 tumor originated. Even in the case of low-grade
22 gliomas, almost all transform to high-grade

1 malignant gliomas with time.

2 Most patients with gliomas present with
3 headaches and/or a new onset seizure. Depending on
4 the location of the tumor, their speech, motor
5 function, or eyesight may be impaired. Typically,
6 patients are seen in the emergency room, where they
7 undergo evaluation, have a CAT scan and an MRI
8 scan.

9 In malignant glioma patients, gadolinium
10 contrast enhancement is seen on MRI. The tumor
11 often causes swelling with the surrounding brain
12 and shift of the brain depending on the size of the
13 tumor. With imaging, however, we really do not
14 know what glioma grade the patient has at
15 presentation. Despite poor prognosis, we treat
16 glioma patients as aggressively and safely as we
17 can with surgery, radiation, and chemotherapy.

18 Our current approach most commonly includes
19 surgery for histopathologic diagnosis confirmation
20 in maximal safe resection of the tumor. When we
21 cannot surgically resect the tumor due to the deep
22 midline location of the tumor or involvement of

1 multiple sites in the brain, we perform a
2 stereotactic needle biopsy for tissue diagnosis.

3 High-grade glioma patients go on to chemo
4 and radiation. As you heard, this standard of care
5 and treatment includes fractionated radiotherapy
6 with concurrent adjuvant chemotherapy. Patients
7 with recurrent high-grade gliomas can undergo
8 repeat surgery, re-radiation, or chemotherapy with
9 bevacizumab.

10 Low-grade glioma patients can undergo
11 observation after maximal surgical resection, or
12 adjuvant radiotherapy, and/or chemotherapy if they
13 have a subtotal removal of the tumor. Almost all
14 these tumors recur and transform to malignant
15 gliomas.

16 The global consensus for surgical management
17 of gliomas is maximal safe resection. All our
18 major societies and institutes have adopted this
19 consensus, including our parent bodies in
20 neurosurgery in this country, the NIH, the NCI, as
21 well as our European colleagues and other
22 cooperative groups around the world.

1 As surgeons, we rely on preoperative MRI to
2 see the tumor which we will attempt to resect.

3 Here's an example of what that looks like.

4 Here's an MRI of a malignant glioma tumor.
5 In the pre-op image on the left, we see a contrast-
6 enhancing area with a central necrosis present.
7 Our goal is to perform a maximal extent of
8 resection and completely resect the contrast-
9 enhancing portion of the tumor.

10 As you can see in the center and right
11 post-op images, the tumor has been removed in a
12 maximal fashion as no residual contrast enhancement
13 is present except for remaining blood products.

14 Gliomas are highly invasive and infiltrative
15 tumors. At the margin, glioma tumors are difficult
16 to visualize, and as a result, a maximal extent of
17 resection is very challenging. Both of these
18 figures illustrate how the malignant cells reside
19 centimeters away from the tumor mass and it's
20 therefore impossible to resect all of the cells.
21 Because of this, all the recurrences of tumors are
22 within 2 centimeters of the original tumor in the

1 majority of cases.

2 When I operate on these types of tumors, the
3 first question the family asked me in the surgical
4 waiting area is, "Doctor, did you get all the tumor
5 out?" And my response is that I took out all I
6 could see and safely remove. We do know that the
7 more tumor we safely take out, the better the
8 outcome the patient will have.

9 As reported in a number of publications, the
10 amount of tumor resected in both high- and low-
11 grade tumors is associated with patient benefit.
12 The published literature on thousands of patients
13 support the correlation between maximal extent of
14 resection and better overall survival of the
15 patients.

16 We know this associated increase in overall
17 survival is also incremental with the volume of
18 tumor contrast enhancement removed. Furthermore,
19 greater extent of resection also permits better
20 efficacy of chemoradiation treatments.

21 It is important to note that the majority of
22 patients do not have a maximal extent of resection

1 due to the lack of tumor visualization and tumor
2 location adjacent to critical tracts in the brain.

3 When we assess glioma patients as
4 neurosurgeons, we want to understand and localize
5 where the tumor resides. We want to understand the
6 relationship of the tumor with the surrounding
7 important tracts in the brain that involve the
8 motor function, speech, vision, and sensation.

9 This image represents a pre-operative MRI
10 scan with imaging called diffusion tensor imaging
11 that lets us look at those tracts. The blue areas
12 show motor fibers adjacent to the rim enhancement
13 of the tumor.

14 Our most important function with surgery is
15 not only maximal extent of resection, but
16 preservation of neurologic function. The last
17 thing we want to do is take the tumor out and tell
18 our patient and family, "I took out all the tumor I
19 could see, but this left you paralyzed on one
20 side." This outcome would certainly highlight how
21 we did not understand the relationship between
22 tumor motor fibers, between the motor fibers in

1 tumor during surgery.

2 In the operating room, we do have tools
3 available for glioma surgery. The most widely used
4 technology is called neuronavigation, and that
5 allows us to localize the tumor. However, this is
6 based on a pre-operative MRI scan and is not real
7 time.

8 Not only when we take the bone off, but when
9 we resect the tumor, there is shift of the brain
10 that then renders the neuronavigation system
11 inaccurate for the course of surgery, as you heard
12 before.

13 In the operating room, we also have some
14 techniques to find those important pathways I
15 discussed. We perform intraoperative
16 electrophysiologic mapping. We also perform awake
17 brain surgery to understand where those speech
18 pathways are for glioma tumors that involve or are
19 close to speech centers. However, even with these
20 tools, we still have difficulties visualizing the
21 tumor well.

22 We have some tools that are available in

1 some centers that can help us determine if we have
2 resected all the tumor we can see. One of those
3 you heard is intraoperative MRI, which is not
4 available at most U.S. centers.

5 IMRI, intraoperative MRI, frequently
6 involves transport of patients to another room in
7 many occasions, interruption of the flow of
8 surgery, and delay of surgery. Intraoperative
9 ultrasound can be used for real-time image
10 guidance. However, the resolution is low and can
11 be difficult to interpret during surgery.

12 So as neurosurgeons, we have major
13 challenges with glioma removal. When we have
14 safely resected all of the tumor we can see, we
15 really don't know how much tumor is left due to its
16 infiltrative and invasive biology. We can't
17 precisely understand the relationship of the tumor
18 with the surrounding functional tracts or pathways
19 present, which questions how we can safely remove
20 gliomas.

21 How can we localize the tumor in real time?
22 Even intraoperative MRI will only give you a second

1 MRI scan during surgery that is a snapshot in time.
2 It will not continuously give you an MRI image in
3 real time while you operate.

4 The brain shift with brain surgery is a
5 major problem that makes our most commonly used
6 neuronavigation technology unreliable, and
7 unfortunately, some of the technologies and tools
8 are simply not available at all centers.

9 What do we need as surgeons for optimal
10 glioma resection? We definitely need a tool that
11 provides us real-time visualization of malignant
12 tumor. We need to delineate the tumor tissue from
13 normal tissue. I need to be confident that what I
14 resect is in fact malignant tumor tissue. We need
15 something that's unambiguous and in high resolution
16 for intraoperative imaging that would permit
17 resection of a glioma tumor in real time to
18 actually guide surgery and safely preserve
19 important surrounding tracts.

20 I want to perform my surgery not relying on
21 technology based on a pre-operative MRI scan that
22 doesn't take into effect the brain shift during

1 brain surgery. My goal is to achieve a maximal
2 extent of resection in a safe manner.

3 Here on the left is the glioma resection
4 cavity of one of my patients, shown by the arrow.
5 At the tumor margin, it is difficult to delineate
6 infiltrating tumor from normal brain, as you heard.

7 Now, this is a high-grade malignant glioma.
8 We thought we performed a maximal extent of
9 resection based on our neuronavigation. According
10 to the green crosshairs in the corresponding
11 neuronavigation figure on the right, we're outside
12 the area of contrast enhancement. It is likely
13 inaccurate due to the brain shift from removing the
14 tumor. We did not have an intraoperative MRI at my
15 center where this patient was operated on. We
16 thought we were done with the tumor resection, but
17 not really confident we were.

18 As you can see in the resection cavity,
19 there does not appear to be tumor tissue present.
20 The underlying white tissue appeared normal. In
21 fact, we were not done with the surgery. Once we
22 turned on the blue light, the resection cavity lit

1 up with fluorescence. There was fluorescent tissue
2 present that delineated residual malignant tumor
3 tissue that I would not have seen had the patient
4 not received the 5-ALA.

5 The tumor fluorescence guided my surgery in
6 real time, and I was able to resect the residual
7 tumor. Also, I can actually see the surrounding
8 brain with the blue light. I'm not operating in
9 the dark here.

10 5-ALA is taken up by malignant glioma tumor
11 cells and then metabolized within the tumor cells
12 to its fluorescent form, protoporphyrin IX.
13 Protoporphyrin IX selectively accumulates in
14 malignant tumor cells.

15 5-ALA at 20 milligrams per kilogram is
16 orally administered 3 hours prior to anesthesia.
17 Malignant glioma tumor tissue will fluoresce at
18 least 8 hours after administration. 5-ALA does not
19 fluoresce on its own.

20 This illustration shows a patient in surgery
21 and our use of the standard conventional microscope
22 we neurosurgeons use every day in operating rooms

1 throughout the world. The microscope in this
2 illustration has the filter modification that emits
3 blue light, which you can see by the blue arrow.
4 The 410-nanometer wave length of blue light excites
5 the protoporphyrin IX metabolite of 5-ALA, which
6 then emits a red light.

7 5-ALA is safe. Some patients can have skin
8 sensitivity when exposed to bright light after
9 5-ALA dosing within 24 hours. 5-ALA is metabolized
10 by the liver and patients can have a transient
11 elevation in their liver function tests.

12 Here's a video of the same patient in the
13 photos I've shown you. Let's look at this in real
14 time. This is the same resection cavity in the
15 pictures displayed earlier. We've dried up the
16 resection cavity with a cotton pad, and we're
17 looking through the standard microscope with white
18 light. We cannot visualize tumor in the resection
19 bed.

20 We're about to switch over to the blue light
21 to visualize any residual tumor present. We
22 quickly switch over by the push of a button, and

1 we're operating now under blue light. You can
2 still visualize the surrounding brain, but
3 immediately within view is the red fluorescent
4 tissue that's very obvious.

5 There's no difficulty visualizing this. I
6 know with high certainty that this represents
7 tumor, and I can confidently remove this tissue,
8 keeping in mind the surrounding important tracts we
9 discussed. The fluorescent tissue delineates the
10 tumor from the surrounding normal brain. It's
11 guiding my surgical resection of the residual tumor
12 in real time.

13 There are many desirable characteristics of
14 5-ALA. It is convenient to use and administered
15 just once orally prior to surgery. Patients with
16 new or recurrent glioma tumors can also be
17 administered 5-ALA.

18 We can use our existing microscope with a
19 blue light filter for this. 5-ALA is an adjunct to
20 our standard surgery and operative tools. And most
21 importantly, it provides real-time visualization of
22 malignant tissue previously unseen with white

1 light, so I can perform a maximal extent of
2 resection without disrupting the overall flow of
3 surgery.

4 In summary, let's all remember that gliomas
5 are deadly. Maximal safe extent of resection is
6 our surgical goal and is our standard-of-care
7 treatment for this lethal cancer. Almost all
8 glioma patients go onto other adjuvant therapies
9 after surgery. We know that the ability to
10 localize the tumor from the surrounding critical
11 functional tracts in the brain is essential to safe
12 tumor removal and preservation of neurologic
13 function.

14 Glioma tumor margins are difficult to
15 visualize under standard microscopic white light.
16 Presently, we don't have localization tools that
17 provide continuous real-time tumor visualization
18 for guidance of our surgery.

19 There is no question that better glioma
20 visualization will allow us to provide better
21 surgical care for our patients and achieve a safe
22 maximal extent of resection. Improved surgery will

1 also impact downstream therapies our glioma
2 patients will likely need.

3 I would like to now introduce Dr. Walter
4 Stummer, who will present the clinical efficacy and
5 safety results.

6 **Applicant Presentation - Walter Stummer**

7 DR. STUMMER: Thank you Dr. Hadjipanayis.

8 My name is Walter Stummer, and I was the
9 principal investigator for most of the 5-ALA
10 multicenter clinical trials. I'm also a consultant
11 for NXDC and Photonamic.

12 I'm grateful for the opportunity to speak
13 about the data support and the use of 5-ALA for
14 visualization of malignant brain tumors during
15 surgery as an adjunct to conventional microsurgery.
16 This agent helps us to detect additional tumor
17 tissue and to decide what to resect without the
18 uncertainties involved with traditional tools.

19 Proving the benefit of 5-ALA-induced
20 fluorescence is not without challenge because
21 seeing the tumor is only a small part of what the
22 neuro surgeons are doing during treatment of

1 malignant glioma patients. State-of-the-art
2 resection, multi-disciplinary decisions, and
3 adjuvant treatments are other parts.

4 First, I would like to summarize the data
5 supporting the use of 5-ALA. I will review some of
6 the endpoints we have used to scientifically
7 demonstrate the usefulness and benefit of this
8 method.

9 Neurosurgeons understand the advantages for
10 surgery, however, we do have to show that this
11 method is useful for patients. In this context, it
12 should be kept in mind that 5-ALA is a tool for
13 surgeons to facilitate surgery, and surgery is the
14 therapy.

15 I will present the pivotal studies for the
16 EU approval, studies 3, 28, and 30, which provide
17 clinical data on the use of 5-ALA. I will then
18 review the endpoints described in the NDA, which
19 focus on visualization and predictive accuracy and
20 discuss the usefulness and limitations.

21 Finally, we will show how predictive
22 accuracy fluorescence translates into clinical

1 usefulness, helping the surgeon see more under the
2 surgical microscope and that this correlates at
3 least to tumor contrast enhancement on the MRI.
4 Neurooncologists and neurosurgeons agree that
5 resecting this part of the tumor is the aim of
6 surgery for malignant gliomas.

7 In addition to our clinical trial data, the
8 evidence to be presented here today, and which is
9 outlined in the briefing book, encompasses
10 scientific literature and global postmarketing data
11 collected after approval of ALA in Europe in 2007.

12 Together, this includes 418 patients for
13 efficacy and 527 patients for safety in our
14 clinical trials, 377 patients for efficacy, and
15 more than 2,000 patients for safety from the
16 literature, and more than 58,000 patients from
17 global postmarketing data.

18 First, I will review efficacy. Here is a
19 summary of the clinical development program, which
20 supported the registration in Europe and other
21 countries and now supports the NDA. Study 20 was a
22 bioavailability trial, study 8 a trial for dose

1 finding and safety, and study 32 was a large trial
2 with 243 patients, which focused specifically on
3 safety.

4 Three studies, studies 3, 28, and 30,
5 generated both efficacy and safety data. Study 3
6 was a large randomized trial in newly diagnosed
7 malignant gliomas randomized between conventional
8 microsurgery or conventional microsurgery and ALA
9 fluorescence.

10 The main intention of study 28 was to
11 determine whether tissue fluorescence in normally
12 appearing brain truly predicted tumor tissue, to
13 what tumor cell density tumor could be visualized,
14 and how its fluorescence is identified using
15 neuronavigation related to contrast enhancement on
16 the early post-operative MRI.

17 Study 30 was in recurrent gliomas, and it
18 aimed at determining the positive predictive value
19 in marginal tissue after resection of identifiable
20 tumor under white light.

21 Due to its role in the approval process in
22 Europe, I would first like to introduce study 3.

1 This was a randomized group-sequential, controlled,
2 multicenter phase 3 study of the impact of using 5-
3 ALA for tumor visualization during resection. For
4 bias reduction, central neuroradiological and
5 neuropathological assessment was blinded to
6 treatment.

7 Patients who met the entry criteria, which
8 included suspected malignant glioma, were
9 randomized to either be operated on using standard
10 white light microsurgery, the control group, or the
11 5-ALA arm where 5-ALA-induced tumor fluorescence
12 was added to conventional white light microsurgery.

13 The study had two primary study endpoints,
14 extent of resection of contrast-enhancing tumor and
15 the rate of progression-free survival at 6 months.
16 Secondary endpoints included safety, volume of
17 residual tumor, and overall survival.

18 415 patients were randomized in a 1 to 1
19 ratio with stratification by age, Karnofsky
20 Performance Score, eloquent tumor location, and
21 study site.

22 We specified a power of 80 percent with an

1 experiment-wise type 1 error of 0.05. We did
2 prespecify an interim analysis after 270 patients
3 in the full analysis set to allow for early
4 termination for futility. Multiple endpoints and
5 the interim analysis were adjusted for
6 appropriately.

7 Patients were enrolled based on imaging
8 alone. For this reason, we did anticipate that a
9 number of patients would not meet the entry
10 criteria such as patients with incorrect histology,
11 for example abscess or metastasis, or showing no
12 contrast enhancement.

13 We pre-defined these patients as not
14 qualifying for the assessment of efficacy, that is,
15 for section rates and PFS. If these patients had
16 been administered ALA, however, they were allocated
17 to the safety analysis set. Importantly, blinded
18 neuropathologists and neuroradiologists identified
19 those patients not qualifying for the efficacy
20 analysis.

21 As the table shows, 415 patients originally
22 enrolled in the study. A total of 66 patients did

1 not qualify for the full analysis set, which
2 consisted of 349 patients. Importantly, the
3 characteristics of patients not qualifying for the
4 full analysis set were well balanced between study
5 arms.

6 Accordingly, the full analysis set was well
7 balanced regarding our known prognostic factors
8 indicated in the slide, namely for age, Karnofsky
9 Performance Score, and the location of the tumor
10 regarding eloquent regions of the brain, and
11 grade 3 or grade 4 histology.

12 This study met the first of its primary
13 endpoints. When the surgeon used 5-ALA for
14 fluorescence visualization during resection, the
15 percentage of patients with a complete resection of
16 contrast-enhancing tumor was nearly doubled to
17 64 percent compared to the 38 percent in the
18 white-light control group. Without the use of 5-
19 ALA, roughly two-thirds of the patients had
20 incomplete resections under white light alone.

21 This improvement of resection was obvious
22 for all subgroups. This forest plot shows the

1 different patient subgroups in study 3 by age, KPS,
2 and eloquent location of the tumor. The benefit of
3 using 5-ALA for fluorescence-guided surgery was
4 seen in all subgroups as indicated by the
5 homogeneity of the odds ratios.

6 The second primary endpoint was also met in
7 study 3. Six months' progression-free survival
8 rate based only on post-operative MRI as assessed
9 by blinded neuroradiological raters was found to be
10 significantly greater in patients in the 5-ALA arm
11 of the study, being increased from 11 to 20.5
12 percent, with use of 5-ALA compared to resection
13 under white light alone.

14 As previously shown for resection rates, the
15 homogeneity of the odds ratios between study arms
16 and subgroups was also maintained, showing the
17 putative benefit of using 5-ALA for fluorescent-
18 guided surgery to be similar for all subgroups,
19 regardless of age, KPS, or tumor location.

20 As an additional analysis, we also generated
21 Kaplan-Meier curves from our data on progression-
22 free survival. These curves also show a

1 significant difference in favor of patients
2 randomized to the 5-ALA arm by the log rank test.

3 However, it must be remembered that these
4 curves are primarily driven by the degree of
5 resection rather than by patients having received
6 the study drug. Therefore, to analyze the impact
7 of resection on PFS alone, we restratified patients
8 based only on extent of resection.

9 Using the data from the NDA, this graph was
10 developed to show progression-free survival of all
11 patients restratified into patients with complete
12 versus incomplete resections of contrast-enhancing
13 tumor independent of study group.

14 The Kaplan-Meier curves indicate that the
15 primary driver of progression-free survival was
16 extent of resection of contrast-enhancing tumor
17 rather than the study group drug. In the further
18 analysis, we now stratified these groups with
19 complete and incomplete resection by their original
20 study allocation, thus generating four curves.

21 The blue curve on the right is for those
22 patients with complete resections in the 5-ALA arm,

1 the green curve for patients with complete
2 resections in the white-light-only arm. These
3 curves are about the same. Furthermore, no real
4 differences were observed for patients with
5 incomplete resections using 5-ALA, the red curve,
6 and white light only, the brown curve.

7 Please note that the number of patients in
8 the 5-ALA fluorescent light group that achieved the
9 complete resection was 112. That is almost double
10 the number of only 65 white-light patients that
11 received a complete resection. For incomplete
12 resections, this was just the opposite.

13 This means that using 5-ALA, surgeons are
14 effectively moving a large subgroup of patients
15 from the group of patients with worse prognosis to
16 the group of patients with a better prognosis
17 regarding progression-free survival.

18 On the other hand, overall survival, as
19 depicted in the left graph, was not found to be
20 different in the full analysis set. This was
21 possibly due to the many interventions these
22 patients are later exposed to, and again the fact

1 that not study group allocation was driving
2 outcome, but extent of resection.

3 On the right graph, we are showing a similar
4 exploratory analysis with substratification as we
5 presented for progression-free survival with
6 survival showing similar effects.

7 During the approval process in the EU, EMA
8 specifically asked for exploratory analysis that
9 underlined the clinical significance of improved
10 PFS for patients in the ALA arm. We therefore
11 looked at the time point and frequency of repeat
12 surgery, which we considered interventions
13 triggered by observing progression in these
14 patients.

15 This cumulative incident graph shows the
16 incidence of repeat surgery on the Y-axis and
17 months on the X-axis. The incidence of repeat
18 surgery was significantly lower for patients whose
19 resections were performed using 5-ALA fluorescent
20 light versus white light only.

21 Having demonstrated the patient benefits in
22 study 3, I would like to review the endpoints used

1 in this NDA for supporting the claim of improved
2 visualization and clinical usefulness of 5-ALA.

3 Specifically, we asked the essential
4 question, when tissue fluorescence is used in
5 5-ALA, does it truly show malignant tumor? The
6 positive predictive value, or PPV, plays an
7 important role in this analysis. PPV is defined as
8 a number of biopsies that show tumor over all
9 fluorescent tissue biopsies.

10 This value was determined for studies 3, 28,
11 and 30. With study 28, we additionally assessed to
12 what cell density can infiltrating tumor in the
13 brain be made visible using 5-ALA. Further, we
14 evaluated whether the additional use of 5-ALA
15 fluorescence allowed the surgeon to visualize more
16 tumor than with the use of white light alone and
17 how fluorescence relates to enhancement on the MRI.

18 As I mentioned, we collected multiple
19 biopsies in our studies 3, 28, and 30 for assessing
20 the positive predictive value of fluorescence on a
21 biopsy-based and on a patient-based level.

22 In the randomized study 3, these biopsies

1 were not the primary or secondary study aim. In
2 study 28, biopsies were correlated with post-
3 operative imaging using neuronavigation. In
4 study 30, biopsies were obtained from fluorescent
5 tissue after the surgeon had removed the bulk of
6 the tumor under white light.

7 The NPV, or negative predictive value, which
8 is the number of fluorescence negative tumor
9 samples over all fluorescence negative samples, was
10 also calculated from the histological data for all
11 our studies.

12 In study 3, one biopsy each was taken from
13 solid tumor, marginal tumor, and normal tissue if
14 feasible under blue light for assessing
15 fluorescence. Again, these biopsies were not
16 supervised nor correlated with location, for
17 example, by neuronavigation. Thus, because we did
18 not know from where investigators took the
19 biopsies, we could not correlate the histologies to
20 post-operative MRI.

21 There was also no specific indication of
22 whether biopsy sites were first identified on the

1 fluorescence or on the white light. Nevertheless,
2 the PPV for strong fluorescence was 98.7 percent,
3 for weak fluorescence 97.0, and for any
4 fluorescence, 97.8 percent.

5 Let us now turn to study 28. This study was
6 a prospective, multicenter trial in 33 patients who
7 had malignant gliomas. The study was designed to
8 correlate visual fluorescence with histology, with
9 samples taken at the margins after bulk tumor
10 resection as indicated in the top right
11 illustration. Our goal was to assess the cell
12 density visualized by fluorescence.

13 The study was also designed to correlate
14 residual fluorescing tissue left unresected for
15 safety reasons with an enhancement on post-
16 operative MRI. We could locate these regions using
17 neuronavigation. Thus, the study also intended to
18 determine whether fluorescence was more sensitive
19 for detecting residual-enhancing tumor than MRI.

20 In study 28, surgeons resected to the tumor
21 margins, exposing fluorescing tissue. Under normal
22 circumstances, we are able to distinguish two

1 qualities of fluorescence as shown on the right.

2 The center of the tumor is usually
3 surrounded by a region of reddish strong
4 fluorescence. This region is surrounded by a
5 region of weaker, more pink fluorescence. In this
6 study, surgeons were asked to perform multiple
7 biopsies in the area of strong and weak
8 fluorescence.

9 Fluorescence was first measured objectively
10 using spectrometry. This measurement was
11 supervised by a physicist. If feasible, samples
12 were also collected from the region immediately
13 adjacent to the pink fluorescence, and also, if
14 feasible, distant to the fluorescing tumor.

15 Samples were assessed by central
16 neuropathologists blinded to the location of the
17 biopsy. Residual areas of fluorescence that were
18 not amenable for resection were located using
19 neuronavigation. This tool was used to record the
20 anatomic location in the brain. These data were
21 later compared to early post-operative MRI by an
22 independent neuroradiologist.

1 This video shows an interoperative cavity in
2 which suction is applied to the tissue surrounding
3 gross tumor. To the neurosurgeon, this tissue
4 looks quite normal under white light. Under blue
5 light, this region shows clear fluorescence,
6 indicating infiltrating tumor.

7 The video contains a small measuring scale
8 placed in the cavity to show how high the
9 resolution of the method is at about 1 millimeter.
10 As mentioned, we utilized this resolution in
11 study 28 for obtaining samples from fluorescing
12 tissue at the margin, tissue new to the tumor, and
13 tissue at a distance from the tumor.

14 This slide summarizes some of the data from
15 study 28. The graph shows tissue tumor cell
16 densities on the Y-axis, stratified by fluorescence
17 type on the X-axis, either strong or weak, or no
18 fluorescence; the latter stratified by where the
19 samples were taken, either right next to the
20 fluorescing margin, which is the bright blue box,
21 or at a distance, which is the dark blue box.

22 The bars indicate median cell density. The

1 box is the 25th and 75th percentile range, the
2 whiskers, the entire range.

3 Median tumor cell biopsies and biopsies with
4 red fluorescence was 90 percent; with pink
5 fluorescence, slightly more than 10 percent. In
6 negative biopsies taken immediately next to the
7 fluorescing tumor, the distribution of cell
8 densities was significantly lower, indicating an
9 about 1-log reduction in cell density when
10 resecting fluorescent tumor.

11 Neurosurgeons and neurooncologists will
12 agree that this log tumor cell removal should be
13 considered a benefit. As expected, even at a
14 distance from the tumor, cell density was not null.

15 From the biopsies in study 28, we also
16 determined the PPV of fluorescence for indicating
17 tumor. The biopsy-based PPV in the study was
18 100 percent for strong fluorescence, 92.2 percent
19 for weak fluorescence, and 96.2 percent from a
20 total of 185 biopsies.

21 In study 30, on the other hand, the approach
22 was different and simpler. This study was a

1 multicentric prospective study in 40 patients with
2 recurrent malignant glioma.

3 In this study, surgeons were asked to
4 perform tumor resections under white light. At the
5 margins of the tumor, they first identified
6 normally and abnormally appearing tissue under
7 white light. They then switched to blue light,
8 collecting biopsies from these areas if they
9 fluoresced.

10 In this study, we found PPV in biopsies with
11 strong fluorescence of 98.2 percent, and biopsies
12 with weak fluorescence of 95.3 percent, and overall
13 of 96.6 percent. Thus, the PPV in recurrences was
14 comparable to newly diagnosed malignant glioma.

15 This slide summarizes the PPVs found in
16 fluorescing tissue at the margins of brain tumors.
17 It was comparable and high in all three studies in
18 regions with strong fluorescence at almost
19 100 percent and slightly lower in regions with pink
20 fluorescence at the margin. This information is
21 invaluable to the surgeon when deciding which
22 tissues he or she should resect.

1 Our findings are in line with the
2 literature, as many other study groups, with minor
3 exceptions, all determined a PPV of 95 to
4 100 percent with the exception of a study with only
5 46 biopsies obtained in 23 patients by Panciani,
6 et al., who found a PPV of 89 percent.

7 On the other hand, we also calculated the
8 negative predictive value, or NPV, from our
9 biopsies. The NPV is defined as the number of
10 fluorescents negative to tumor biopsies over all
11 fluorescence-negative samples.

12 In three studies, the biopsy-based estimate
13 of NPV were between 18.8 and 24.1 percent,
14 indicating that many biopsies taken from non-
15 fluorescing margins in our studies still harbor
16 tumor cells.

17 Regarding NPV, the literature gives a much
18 larger variability from between 20 to a size
19 90 percent as summarized in this graph. This
20 invariably raises the question on how these
21 disparities can best be explained.

22 Recall that, since tumor cells in malignant

1 gliomas spread diffusely throughout the brain, it
2 is not possible to completely resect all tumor
3 cells. This is also not necessary since the aim of
4 resection is the resection of enhancing tumor.
5 Even with a complete resection of enhancing tumor,
6 residual tumor cells will often be detectable.
7 Therefore, although fluorescence denotes
8 malignancy, the opposite conclusion is not
9 completely true, namely that lack of fluorescence
10 shows normal brain.

11 The negative predictive value now depends
12 very strongly on where the sample is taken. If
13 samples are taken close to the cross-tumor, as
14 indicated in the illustration, values will be low.
15 If samples were taken remotely from the tumor,
16 these values will be much higher.

17 In addition, the frequently-used diagnostic
18 measures, sensitivity and specificity, are also
19 affected by true and false negative samples, and
20 these will also depend on where the samples are
21 collected.

22 Nevertheless, looking at the biopsy-based

1 diagnostic measures from our pivotal studies,
2 despite their limitations, we find acceptable
3 values in studies 28 and 3 with surgery for newly-
4 diagnosed malignant gliomas. In study 30,
5 specificity appears exceptionally low with a value
6 of 20 percent. Study 30, however, is a study on
7 recurrent malignant glioma.

8 The recurrent malignant gliomas, as we
9 clinicians well know, are highly infiltrative
10 beyond the region of contrast enhancement, invading
11 a much larger volume of adjacent brain. With these
12 tumors, it is virtually impossible to find
13 correctly non-fluorescing negative marginal tissue,
14 which does not reveal low-level infiltration of
15 tumor cells.

16 In study 30, only 3 truly negative biopsies
17 were found and only 16 samples from non-fluorescing
18 tissue were collected. This accounts for the low
19 calculated specificity in the recurrent study.
20 Note, the PPV in that study was still high.

21 This table is from the FDA briefing
22 document. In general, it confirms high

1 specificities and sensitivities using 5-ALA despite
2 the obvious limitations regarding these methods.
3 Please note that because specificities depend
4 strongly on the truly negative samples and the
5 collection of such samples depends on the distance
6 from the main tumor mass, these have a considerable
7 variability.

8 Keeping this endpoint in mind, we
9 demonstrate efficacy in the NDA by showing the
10 predictive accuracy of fluorescence and
11 highlighting to the surgeon tumor tissue
12 infiltrating the brain. This accuracy is based on
13 the positive predictive value or PPV.

14 In the absence of a meaningful
15 interpretation of NPV, we are also looking at other
16 indicators of clinical usefulness in the NDA, that
17 is, helping surgeons to find tumor tissue using
18 fluorescence that might otherwise have been
19 overlooked using a surgical microscope with
20 conventional white-light surgery. Studies 28 and
21 30 also addressed the second question.

22 In study 28, our multicenter prospective

1 study, surgeons were asked to resect all
2 fluorescing tumor that was safely amenable to
3 resection. Residual areas of fluorescence were
4 then mapped and related to brain anatomy using
5 neuronavigation. Neuroradiological raters blinded
6 to the fluorescence findings determined whether
7 contrast-enhancing tumor was present at these
8 sites, recorded by navigation.

9 Among the 33 patients, 42 regions with
10 residual fluorescence were identified and assessed
11 by navigation. This is the blue bar on the left.
12 In only 14 regions, that is, 33 percent, as
13 represented by the gray bar on the left, these
14 regions were visible on MRI as contrast-enhancing
15 tumor.

16 Importantly, 32 of these regions, as
17 indicated by the blue bar on the right, appeared
18 inconspicuous under white light but contained tumor
19 on MRI and pathologically.

20 The conclusion is that fluorescence shows
21 more malignant tissue than white light and that
22 tumor may not be visible as enhancing tissue on the

1 MRI.

2 In study 30, the multicenter prospective
3 study on recurrent malignant gliomas, tumor was
4 first resected to its white-light borders. Tissue
5 was then determined as abnormal or not under white
6 light. Surgeons then switched to blue light and
7 collected biopsies from fluorescing residual
8 tissue, which they investigated by neuropathology.

9 Despite these tissues looking normal under
10 white light in 157 locations, fluorescent tumor was
11 found in 146 of 157 biopsies with a high PPV of
12 93 percent. Thus, this study confirmed that
13 fluorescence will help identify tumor not visible
14 as such to the surgeon under white light and will
15 help guide resections.

16 To conclude, our studies show that 5-ALA-
17 induced fluorescence enhances structural
18 visualization of malignant tumor intraoperatively,
19 which aids surgery, thus underlining utility. This
20 visualization is highly accurate, as measured by
21 the PPV of fluorescence. The usefulness of this is
22 the ability to see additional malignant tumor.

1 Furthermore, fluorescence encompasses at least the
2 enhancing tumor.

3 By meeting both our primary endpoints in the
4 randomized controlled trial, we demonstrate that
5 5-ALA fluorescence-guided surgery leads to a
6 significant increase in percentage of patients with
7 maximal extent of resection and improve
8 progression-free survival at six months.
9 Furthermore, post hoc analysis demonstrates a
10 reduced need for subsequent surgeries.

11 A wealth of peer-reviewed literature are
12 consistent with the clinical trial data. Overall,
13 the data demonstrate that 5-ALA-induced
14 fluorescence provides more informative
15 visualization than white light alone that can guide
16 resections and benefit both the surgeon and the
17 patient.

18 This concludes the review of the efficacy
19 data. I will now summarize the safety data.

20 In this section, I would like to review the
21 data we have generated throughout our clinical
22 studies, data that is available from the

1 literature, as well as postmarketing surveillance
2 data from the EU.

3 Assessing the safety of 5-ALA fluorescence-
4 guided surgery involves considerations of risks in
5 three areas: those related to the drug, those
6 related to surgery, and those related to surgical
7 decisions based on the enhanced visualization.

8 Risks related to surgery depend on patient
9 factors such as the underlying disease, tumor
10 location, steroid pre-treatment, comorbidities, and
11 a population with a median age of 63 years, and
12 patient selection. Also, brain surgery for
13 malignant gliomas carries significant risks and
14 depends much on the performance and experience of
15 the surgeon.

16 Finally, there may be risks related to
17 resecting more tissue due to enhanced
18 visualization. However, an experienced surgeon
19 will rely on structural information and knowledge
20 of eloquent areas of the brain and will take all
21 factors in consideration when deciding on the
22 amount of tissue to safely resect.

1 In this section, I will present the
2 information that is available on safety using
3 5-ALA, keeping the different risks in mind. The
4 safety population is based on data derived from
5 clinical studies with a total of 527 patients, data
6 from published clinical studies was a total of
7 about 2,000 patients, and the postmarketing
8 surveillance data from the EU with about 58,000
9 recorded patients so far.

10 Patients in five studies comprised the full
11 safety population. Each patient received
12 20 milligrams per kilogram body weight 5-ALA.
13 Please note that study 3 included a control group
14 that did not receive study drug and study 8
15 included patients who received lower doses.
16 Therefore, these patients are not included in the
17 full safety population.

18 For analysis of adverse events, we use the
19 following definitions. Treatment-emergent adverse
20 events, or TEAEs, were defined as AEs that start or
21 worsen during or after administration of 5-ALA and
22 were reported as mild, moderate, severe, life-

1 threatening, or fatal.

2 TEAEs were also categorized as short-, mid-,
3 or long-term events, that is to say, short-term
4 within one week of surgery, mid-term after one week
5 but within 6 weeks of surgery, and long-term after
6 6 weeks of surgery.

7 Any event deemed by the investigator to be
8 certainly, probably, or possibly related to 5-ALA
9 was coded as related to 5-ALA. In addition, if the
10 relationship was unknown or data was missing, the
11 event was coded as related.

12 Before presenting the data in detail, I
13 would like to again emphasize that malignant glioma
14 patients are a seriously ill population with a
15 variety of neurological impairments and receive a
16 variety of adjuvant therapies.

17 Glioma is universally fatal and the
18 population has a high median age. As noted
19 previously, resection surgery itself is associated
20 with frequent intra- and post-operative risks and
21 side effects.

22 Please note that because 5-ALA is

1 metabolized and eliminated within about 24 hours,
2 and side effects of surgery are recorded
3 immediately after surgery, with the exception of
4 infections, mid- and long-term events are expected
5 to be influenced by tumor progression or side
6 effects of adjuvant therapy such as radio or
7 chemotherapy.

8 Of the 527 patients in the full safety
9 population, 317 of them experienced a total of 802
10 events. Of these, 23 events were rated by the
11 investigator to be drug related. In addition, 133
12 patients experienced a serious adverse events and
13 25 patients experienced events that resulted in
14 death. These data are not stratified by the time
15 point of occurrence.

16 It is notable that event rates for 5-ALA-
17 treated patients were comparable to patients in the
18 control group in the randomized clinical study,
19 study 3.

20 The most frequently reported events were
21 nervous system disorders, which mostly occurred
22 within one week of surgery. This was the case in

1 about 30 percent of patients. The neurological
2 deficits that occurred during 1 to 6 weeks of
3 surgery in patients who received 5-ALA were
4 indistinguishable from patients who did not receive
5 the study drug. Again, with these neurological
6 events, it has to be kept in mind that they're
7 likely due to either the disease and/or the
8 surgery.

9 Here's a summary of the most frequently
10 reported nervous system events. These were
11 unlikely drug related. Eleven patients experienced
12 events that were considered drug related in the
13 first week after surgery. Short-term events that
14 were not explained by the procedure were
15 hypotension and abnormal liver function tests.
16 Events unrelated to surgery were also reported in
17 the mid- to long-term period. These were reported
18 as drug related or with an unknown relationship to
19 the drug.

20 This is a summary of patients that
21 experience events considered drug related within
22 the first 6 weeks after surgery, which are

1 exceptionally low.

2 Serious adverse events occurred in 133
3 patients; 13.1 percent of these were reported
4 within one week after surgery. Again, the most
5 common serious adverse events were nervous system
6 disorders, which were expected with patients
7 undergoing glioma surgery.

8 In 10 percent of patients, serious events
9 occurred in the time period between 1 and 6 weeks
10 after surgery, and in 7.3 percent of these
11 patients, serious events occurred more than 6 weeks
12 after surgery.

13 Serious adverse events reported in the first
14 week after surgery are likely a result of the
15 procedure itself rather than the 5-ALA. 9.3
16 percent of patients experienced the serious adverse
17 events that are listed in this summary.

18 As I noted previously, neurological events
19 were indistinguishable between patients who
20 received 5-ALA and those in the control group. In
21 this slide, we show the data from the randomized
22 study, study 3. Neurological sequelae were closely

1 scrutinized and compared to the cohort of patients
2 that had had conventional surgery without 5-ALA.

3 This table summarizes all neurological
4 severe adverse events, but also shows all grade 3
5 and 4 neurological events as extracted from the
6 common toxicity criteria lists. Overall, the total
7 number of neurological adverse events when
8 comparing control and 5-ALA patients were the same.

9 Those adverse events qualifying as grade 3
10 and 4 according to the CTC list were equally
11 frequent in both study arms. The number of
12 neurological adverse events qualifying as severe
13 were also similar in both study arms. From this
14 study, no obvious concerns were raised regarding
15 additional resections using 5-ALA.

16 For assessing safety, we also used an
17 instrument which is traditionally used for
18 assessment of the degree of neurological function
19 in stroke patients, the NIH Stroke Score. This is
20 a very sensitive instrument which captures even
21 minor changes, for instance in the strength of an
22 arm or a leg.

1 This slide shows the statistic for the NIH
2 Stroke Score. Forty-eight hours after surgery,
3 26 percent of patients in the 5-ALA arm had an
4 increase in NIH Stroke Score compared to
5 14.5 percent in the control group of 1 point or
6 more. This difference decreased over time and was
7 no longer apparent at 3 months.

8 These differences in neurological function
9 captured by the NIH Stroke Scale did not translate
10 into a difference in general function. General
11 function was assessed by the Karnofsky Performance
12 Scale, first at 6 weeks after surgery, at 3 months,
13 and 6 months after surgery.

14 We did not see any significant difference
15 between the study groups. At 6 months, patients in
16 the 5-ALA group tended to have less deterioration
17 based on tumor progression than those in the
18 control group.

19 In the clinical studies, a total of 284
20 deaths occurred over 18 months. Twenty-five
21 patients died in this period as a result of a
22 treatment-emergent adverse event. No deaths

1 reported were considered related to the
2 administration of the drug.

3 As I explained, malignant glioma is a fatal
4 disease. Consequently, such deaths were found
5 during the observation period in the safety
6 cohorts. No clinically significant pattern of
7 change was detected that was associated with 5-ALA
8 in extensive laboratory evaluations with the
9 exception of transient increases in transaminases
10 and gamma-GT. There have been no reports regarding
11 ECG abnormalities such as QT prolongation or rhythm
12 disturbances.

13 This table shows the transient increases in
14 transaminases and gamma-GT. We did see a higher
15 incidence of grade 3 and 4 toxicities than in the
16 white-light control arm. These subsequently were
17 covered with further follow-up.

18 Numerically, the levels were only
19 significantly increased at 24 hours. The increases
20 were not considered a clinically relevant indicator
21 of liver dysfunction.

22 To summarize, regarding the clinical study

1 data, only a small fraction of the events were
2 considered to be related to the drug. Nervous
3 system disorders were the most frequent emergent
4 adverse and serious adverse events that were
5 reported. These were most likely due to disease
6 and/or surgery.

7 There were a few events that led to death,
8 but none of them were related to the 5-ALA. We
9 observed no clinically meaningful patterns of
10 change in the laboratory values with the exception
11 of the transaminases or ECG measures.

12 In addition to the data from the clinical
13 studies, we conducted a comprehensive literature
14 search regarding side effects of 5-ALA. We were
15 able to identify 29 studies that provided data on
16 the safety of 5-ALA. These 29 studies included
17 around 2,000 patients. No specific patterns of new
18 adverse events or reports of 5-ALA-associated
19 mortality were found.

20 Postmarketing surveillance data collected
21 since 2007 were last reported in 2015. 58,000
22 patients dosed with 5-ALA did not reveal any

1 pattern or side effect that might be related to the
2 use of 5-ALA for brain tumor surgery.

3 In conclusion, there is an extensive safety
4 database available for 5-ALA from clinical studies,
5 from published literature, and from postmarketing
6 experience. 5-ALA has a well-established safety
7 profile, and the adverse events that have been
8 reported are most often a result of the procedure
9 or the underlying disease and only rarely related
10 to the drug. Neurological disorders were the most
11 frequently reported adverse events and consistent
12 with those seen with standard resection surgery.

13 This concludes my presentation of safety. I
14 would now like to ask Dr. Hadjipanayis back to the
15 podium.

16 **Applicant Presentation - Constantinos Hadjipanayis**

17 DR. HADJIPANAYIS: As a neurosurgeon using
18 5-ALA in our center in the U.S., I would like to
19 summarize the benefits and risks of
20 5-aminolevulinic acid for visualization during
21 glioma removal.

22 We seek to better visualize malignant tumor

1 tissue during glioma surgery. The standard of care
2 in the U.S. and the rest of the world is maximal
3 tumor resection. Maximal resection of the
4 contrast-enhancing portion of the tumor is
5 associated with better outcomes in glioma patients.

6 We acknowledge that data is mainly
7 retrospective. Outcomes in these patients are,
8 however, difficult to measure due to the fact that
9 these patients move on to other therapies once
10 their tumor occurs.

11 We know that all patients have residual
12 glioma left after surgery due to the infiltrative
13 biology of these tumors and the challenge of
14 identifying tumor at the margin. We also cannot
15 accurately delineate tumor from normal brain in
16 real time during surgery.

17 As you heard from Dr. Stummer, with 5-ALA
18 fluorescence-guided surgery in multiple clinical
19 studies, we can visualize malignant tumor with high
20 accuracy as demonstrated by a positive predictive
21 value of approximately 95 percent.

22 This has been confirmed by a number of other

1 published studies. Accurately visualize a
2 malignant tumor that could not be seen with
3 standard white light can also permit more malignant
4 tumor resection.

5 In the phase 3 study, 64 percent of patients
6 had a maximal extent of resection with 5-ALA
7 compared to 38 percent of patients who had surgery
8 without 5-ALA. Maximal extent of resection was
9 associated with greater progression-free survival
10 in patients who underwent 5-ALA fluorescence-guided
11 surgery. The PFS at 6 months was 35.2 percent
12 compared to the control group of 21.8 percent.
13 5-ALA fluorescence-guided surgery provides real
14 patient benefit.

15 I would like to summarize the benefits of
16 5-ALA in fluorescence-guided surgery. This is a
17 safe agent. Over 58,000 patients have been dosed
18 with 5-ALA with no deaths directly attributed to
19 the agent and minimal toxicity associated with the
20 agent.

21 5-ALA is a high-resolution intraoperative
22 visualization tool that provides unambiguous

1 delineation of malignant tissue. The more
2 malignant tumor tissue that can be visualized, the
3 more tumor that can be resected safely. 5-ALA
4 fluorescence-guided surgery is compatible with our
5 current standard, surgical microscope, it is orally
6 administered prior to surgery, and it does not
7 disrupt the flow of surgery.

8 There are no worries of losing accuracy of
9 localization due to brain shift with 5-ALA
10 fluorescence-guided surgery. And based on the
11 randomized phase 3 study, glioma patients have
12 greater maximal extent of resection,
13 progression-free survival at 6 months, and fewer
14 repeat surgeries.

15 I would like to summarize the perceived
16 risks with 5-ALA in fluorescence-guided surgery.
17 After 5-ALA administration, additional malignant
18 tumor can be visualized, which the neurosurgeon
19 could not see under white light.

20 Glioma surgery carries inherent risks to
21 patients, including potential neurologic deficits
22 due to the important tracts that surround tumors.

1 Neurological deficits can occur with or without
2 5-ALA. The neurosurgeon makes the decision as to
3 whether additional tumor tissue can be safely
4 removed or not utilizing their judgment,
5 experience, and intraoperative tools we discussed.
6 Not all patients can have a maximal extent of
7 resection due to the critical tracts adjacent to
8 tumors.

9 Temporary skin photosensitivity can occur
10 within 24 hours of 5-ALA dosing. Patients are kept
11 in subdued lighting to prevent any skin sensitivity
12 immediately after surgery. Patients can have
13 transient LFT elevation. Those patients have
14 normalization of their LFTs after dosing.

15 5-ALA fluorescence-guided surgery is a new
16 paradigm in neurosurgery. Based on our experience
17 with the drug in Europe, we have created the 5-ALA
18 Medicines Management Program. This program
19 consists of three parts: an educational program to
20 instruct neurosurgeons of the proper use of 5-ALA
21 fluorescence-guided surgery. This programs limits
22 5-ALA use to neurosurgeons who have been certified

1 after instruction. Recertification is also
2 proposed every two years. 5-ALA will be shipped
3 and dispensed from hospital pharmacies where
4 surgeons have been certified.

5 In summary, we have an unmet medical need
6 for improved visualization of malignant glioma
7 tissue during surgical resection, where our goal is
8 to perform a safe, maximal extent of resection in
9 these patients with this deadly disease.

10 5-ALA provides real-time visualization of
11 the tumor tissue that guides the surgery. It
12 provides structural delineation of the tumor from
13 the normal surrounding brain that contains critical
14 important motor, speech, or sensory pathways so
15 they can be preserved.

16 It provides the neurosurgeon unquestionable
17 visualization of tumor tissue that he or she would
18 not have seen without the drug so that more tumor
19 can be confidently removed.

20 There is clear patient benefit that has been
21 demonstrated in a phase 3 randomized study where
22 glioma patients given 5-ALA had better PFS at

1 6 months, almost double maximal extent of
2 resection, and fewer repeat surgeries. 5-ALA is
3 safe for our patients, as seen in multiple clinical
4 trials and published studies worldwide.

5 Let us all remember that gliomas are a
6 universally fatal cancer. Patients with high-grade
7 malignant gliomas have a median survival less than
8 2 years despite all therapies, and low-grade
9 gliomas eventually transform to high-grade gliomas
10 with time. We need technologies that will help our
11 patients.

12 Based on the totality of the evidence,
13 including data from the clinical studies, published
14 literature, and global postmarketing experience, as
15 well as my experience in over 100 patients, 5-ALA
16 has a clear benefit, which greatly outweighs any
17 risks.

18 I would like to invite Dr. Ezrin back to the
19 podium to conclude our presentation.

20 **Application Presentation - Alan Ezrin**

21 DR. EZRIN: This concludes our presentation.
22 We have demonstrated the efficacy and safety of 5-

1 ALA in the data presented today and submitted in
2 the new drug application, including the clinical
3 studies, the worldwide literature, and the
4 postmarketing experience.

5 5-ALA is not a therapy. It's not a curative
6 agent. 5-ALA is a much needed real-time imaging
7 tool providing accurate visualization to support
8 safe surgical resection of gliomas. We thank you
9 for your careful consideration in our discussion
10 today.

11 **Clarifying Questions**

12 DR. ROYAL: Thank you to all the presenters.

13 Are there any clarifying questions for the
14 sponsor? What I would suggest is if you put your
15 name tag up, I'll be able to tell who has
16 questions. Dr. Todd?

17 DR. TODD: Thank you very much, Dr. Royal.
18 I'd like to start by thanking our speakers for
19 excellent presentations. Thank you very much.

20 I'm just seeking clarification on the very
21 last slide and Dr. Ezrin's earlier presentation
22 about the proposed indication.

1 The proposed indication for 5-ALA is for
2 real-time detection and visualization of malignant
3 tissue during glioma surgery. I'm just seeking
4 clarification in the sense that the approved
5 indication in Europe for which the data that was
6 presented here is for grade 3 and 4 gliomas, for
7 high-grade. And the data that was presented for
8 today that was submitted focused on high-grade
9 gliomas.

10 Certainly, I know that we don't know the
11 grade until at the time of surgery. But the
12 approved indication in Europe is for grade 3 and 4
13 based on the efficacy data, and it's the same
14 efficacy data that was provided today.

15 So I just wanted to get a clarification on
16 that disconnect between glioma in general, the
17 lower grade, that I don't believe there was any
18 presentation on the efficacy of 5-ALA on the lower
19 grade.

20 DR. EZRIN: Dr. Todd, your observations are
21 correct. We are seeking as broad of a label as the
22 data will support, and we're utilizing

1 visualization of malignant tissue during glioma
2 surgery. We are using the same data, the efficacy
3 studies, study 3, 28, and 30, which supported the
4 EU label, which does have the grade 3, grade 4
5 delineation to it.

6 Our difficulty is in understanding what is a
7 grade 2 or a lower grade. And the literature is
8 complete with numerous examples that we don't
9 understand the malignant nature of what one is
10 defining as a grade 2.

11 Perhaps during the Q&A, we can get into a
12 further discussion around the data that has been
13 seen that supports malignant presence in grade 2,
14 which could make this appropriate. It's a subject
15 for discussion. Thank you.

16 DR. ROYAL: Dr. Mucci?

17 DR. MUCCI: I have two technical questions.
18 On the slide, I think it was CR-3, for PFS, there
19 were two numbers, I think 35 percent and 21 percent
20 down there, 6-month. On the earlier slides, the
21 CEs, I thought there was a 22 percent versus 11
22 percent.

1 DR. STUMMER: Walter Stummer. Yes, you are
2 absolutely correct in your observation. These
3 numbers were in fact derived from the Kaplan-Meier
4 curves. They were not the second or the primary
5 endpoints from the original study.

6 They are in this image-based criteria, and
7 we only had 10 versus 20 percent. So this is from
8 the Kaplan-Meier curves, and excuse for this
9 confusion.

10 DR. MUCCI: My second question is, part of
11 the efficacy -- and I think these were slides CE
12 somewhere between 32 and 34, where sensitivity and
13 specificity are considered. Yes, CE32, CE34.

14 We know from the data in the three studies
15 under analysis here that virtually all biopsies
16 turn out to be histology positive, whether they're
17 fluorescent or non-fluorescent. So sensitivity by
18 default can be anything you want to make it. The
19 more non-fluorescent biopsies you take, the lower
20 sensitivity is going to be. The fewer you take,
21 the higher sensitivity is going to be.

22 So to me, the sensitivity and specificity

1 are, I think, somewhat misleading. The PPV and NPV
2 are much more realistic.

3 DR. EZRIN: We appreciate the comment, and
4 we agree that sensitivity and specificity are
5 calculations, and as Dr. Stummer presented,
6 dependent upon many factors, including location as
7 well as presentation. They are calculated
8 throughout the literature, and for that reason, our
9 focus is on PPV.

10 DR. MUCCI: Yes.

11 DR. ROYAL: Dr. Gilbert?

12 DR. GILBERT: So I had questions about
13 slide 16, specifically about the NIH Stroke Scale,
14 and wanted to know whether you've looked at an
15 analysis comparing those patients in whom the
16 stroke scale declined. So they had neurologic
17 compromise and their outcome specifically
18 progression-free survival.

19 As a second, was there a correlation between
20 those who were deemed to have a complete resection
21 and a decline in the stroke scale?

22 DR. EZRIN: I will ask Dr. Stummer address

1 the data in CS-16. Slide up, please.

2 DR. STUMMER: Sorry. Perhaps could you
3 repeat the first part of your question, please?

4 DR. GILBERT: Sure. So the first part was
5 you have divided the patients into two groups,
6 those who did not experience a decline in function
7 as measured by the stroke scale and those who have.
8 And was there an association between those who did
9 have the decline and a prolongation in progression-
10 free survival? And secondly, was there an
11 association between those who had a decline and the
12 likelihood that they experienced what was defined
13 as a complete resection?

14 DR. STUMMER: I would like to first address
15 the second part of your question because we're
16 aware that going further might be inflicting
17 damage. So what we also did -- and this is
18 exploratory, if I may. We also restratified
19 patients based on the degree of resection regarding
20 the course of their NIH Stroke Scale.

21 I'd like to have the slide up, please.

22 So this is almost the full analysis -- I'm

1 sorry about this. This is a technical problem here
2 at the top line here. But what the slide would
3 show you, if you saw the top line, is basically
4 that those patients, which have complete resections
5 by MRI standards, actually do much better than
6 those patients in the long run regarding the time
7 to duration of NIH and overall event-free survival
8 than those patients that had incomplete resections.
9 You can also see this from the log rank test and
10 also from the 6-month rate from these curves.

11 So overall, having greater resections in the
12 context of malignant glioma actually gave the
13 patient some form of benefit.

14 Regarding your first part of your question,
15 I couldn't answer that question specifically
16 because we don't have that analysis made in this
17 form. I think it's an excellent question, but I
18 can't get back to that at the moment.

19 DR. ROYAL: Dr. Herscovitch?

20 DR. HERSCOVITCH: Thank you. I have just
21 something first to confirm, that the endpoint,
22 quantitative endpoint that you use as

1 progression-free survival -- sorry, PPV on a
2 biopsy-based analysis, my impression from reading
3 this is that for studies 28 and 30, these were in
4 fact only secondary endpoints, and for the pivotal
5 phase 3 study, number 3, PPV biopsy-based wasn't
6 even a secondary endpoint.

7 Are those interpretations correct? And then
8 the selection of PPV biopsy-based as primary
9 endpoint was done all post hoc for the three
10 studies, 28, 30, and 3.

11 DR. EZRIN: I understand three parts to your
12 question. I'll break them down such that my
13 colleagues and I can address them. They are biopsy
14 based, although within the NDA, we present both at
15 the biopsy, individual biopsy level, and at the
16 patient level. What we presented today is biopsy
17 based. It is my understanding -- I'll confirm with
18 our team in a moment -- that, on 28 and 30, these
19 were primary endpoints, and the statement in the
20 study 3 is a post hoc analysis.

21 Allow me to confirm for one moment.

22 As the CEO of the company, I'll go to the

1 experts. Let me ask Dr. Moore to answer your
2 question.

3 DR. HERSCOVITCH: Sure.

4 DR. MOORE: Anna Moore, project manager from
5 Photonamic. Indeed, biopsy-based PPV in study 28
6 and 30 was a pre-defined endpoint, but it was the
7 secondary endpoint. So your assumption was
8 correct.

9 The primary endpoint in these studies were
10 patient-based PPV, and for the NDA, we decided to
11 use the biopsy-based PPV.

12 DR. HERSCOVITCH: Thank you for clarifying
13 how these studies were initially laid out versus
14 the data that you're now presenting.

15 I have a question regarding safety, and I'm
16 actually looking at data, if I'm allowed to, on
17 page 21 of 24 of the FDA package. Specifically
18 with regard to table 15, Summary of Common
19 Neurological Events, two of them, which might be
20 considered a little more of note, were of greater
21 frequency in the ALA-exposed patients.
22 Specifically, aphasia occurred twice as commonly,

1 12 versus 24 patients, and hemianopsia occurred
2 three times as commonly, 8 versus 23 patients.

3 Actually, as opposed to some of the others,
4 dizziness, headache, somnolence, which presumably
5 all got better, what was the time course in
6 resolution of these more serious neurologic events?
7 Because in theory, they could perhaps be attributed
8 to somewhat more aggressive surgery because the
9 surgeons were actually able to visualize additional
10 tumor for resection.

11 So what was the outcome in the two times or
12 three times more frequent occurrence of aphasia and
13 hemianopsia in the fluorescent-exposed patients?

14 DR. EZRIN: So instead of putting up table
15 15, perhaps we can go to the time course profile.
16 And I'll ask Dr. Stummer to summarize that for us.

17 DR. STUMMER: Slide up, please. These are
18 data from the NIH Stroke Score, which was our most
19 sensitive score for defining or assessing
20 neurosurgical function in these patients. And this
21 is time on the X-axis and NIH Stroke Score on the
22 Y-axis. And this of course subsumes also those

1 patients with visual field effects after surgery
2 and also language disorders after surgery.

3 It shows you the entire range of these
4 patients with every patient actually in these bars.
5 And it gives you a feeling of how -- well, first
6 you would look at the medians or the horizontal
7 bars. They're the same prior to surgery. And then
8 actually, the patients in the white-light arm get a
9 little better, and the median is at 48 hours,
10 whereas those in the blue-light arm are still at 1
11 in the median NIH score. Then, as you can see at 7
12 days, this moves down to zero median in both arms.
13 And then they essentially taper off in their
14 differences.

15 Specifically for visual field effects and
16 language disorders, we did have 4 or 5 SAEs, so
17 severe adverse events that were reported based on
18 SAE for language. One of those was from the safety
19 analysis. It that was actually the
20 calvarium [indiscernible] that was operated on. He
21 improved, and the second one also improved over
22 time, and three remained the way they were.

1 I would like to call upon -- this is now
2 getting into a little detail, but I would like to
3 talk about this. Slide up, please.

4 Again, we're looking at the very, very
5 sensitive NIH Stroke Scale to understand which
6 patients were at risk when we're doing this
7 surgery. And I would like to go in detail through
8 this slide.

9 This slide shows you the NIH Stroke Scale
10 that we picked up at 48 hours after surgery. And
11 it shows you in the top row the distribution of the
12 NIH Stroke Score deterioration as compared to prior
13 to surgery by just one point or more. And it shows
14 you that patients in the ALA arm had 26 percent,
15 0.2 percent deterioration in the NIH Stroke Scale
16 as opposed to 14.5 percent.

17 Now, if you look at the bottom two rows, it
18 substratifies the patients based on whether they
19 had already had a deficit prior to surgery or not.
20 So the first row is those patients that had no
21 deficit prior to surgery, NIH Stroke Scale zero,
22 and you can see there is no difference.

1 So these are not patients at risk in a
2 greater way if they are operated on using 5-ALA
3 than operated on using white light. If they had
4 any form of deficit, so an NIH Stroke Scale of
5 zero, which is the bottom row, then you can see
6 that these are the patients that have a greater
7 risk for the moment, 29.6 percent versus
8 11.7 percent.

9 So we know these patients. These are
10 patients that have fixed neurological deficits,
11 which shows us that the tumor is actually growing
12 into an eloquent brain region. And we have now
13 shown with the study that these are the patients
14 that we have to be very, very careful about.

15 But this is medical judgment. This is what
16 we surgeons are doing. This is the practice of
17 medicine. This is what we're always going to be
18 concerned with. It would be exactly the same
19 question, did we have the MRI intraoperatively or
20 the neuronavigation as an adjunct? So this is a
21 medical judgment we are doing here.

22 DR. ROYAL: Dr. Toledano?

1 DR. TOLEDANO: Thank you. This is
2 Dr. Toledano. I have two clarifying questions to
3 build on Dr. Herscovitch's questions.

4 Doctor Professor Stummer, they are for you.
5 On your slide CE-5, I just want to build on the
6 role of PPV in the different studies just to
7 clarify that the positive predictive value,
8 especially at the biopsy level, was not a factor
9 contributing to the EU approval.

10 DR. STUMMER: Yes, ma'am. That is correct.

11 DR. TOLEDANO: You've been talking about the
12 NIH Stroke Scale and how deficits, pre-op deficits
13 in the NIH Stroke Scale, can increase the risk of
14 aphasia, or hemianopia, or other
15 cognitive -- neuro, nervous system disorders. But
16 when you talk about a whole scale that looks at a
17 whole bunch of things, you're not specifically
18 teasing out the aphasias or the hemianopsias.

19 So we heard particularly about the
20 hemianopsias, but I don't recall hearing an
21 explanation of what happened with the aphasic
22 patients over time.

1 DR. STUMMER: I don't think we have at the
2 moment available for you these data, where you look
3 at the development of these patients over time.

4 DR. TOLEDANO: Thank you.

5 DR. ROYAL: Dr. Jacobs has a question.

6 DR. JACOBS: Yes. I had a question about
7 the supporting literature. On slide CE-30 and 32,
8 you presented PPV and NPV from 11 peer-reviewed
9 articles. I would like to know if any of the
10 patients in those articles are also in your
11 database that you're using from studies 3, 30, and
12 28.

13 DR. EZRIN: Since it is Dr. Stummer's data,
14 I'll ask him to address the question.

15 DR. JACOBS: I assumed it would be.

16 DR. STUMMER: Just I didn't acoustically
17 understand your question. I'm very, very sorry
18 about that. It didn't reach me down there.

19 DR. JACOBS: The question is, essentially,
20 in the supporting literature, are any of the
21 patients in those articles the same patients that
22 are in studies 3, 30, and 28?

1 DR. STUMMER: No, no.

2 DR. JACOBS: Good.

3 DR. STUMMER: Two of my studies are in
4 there, but these are studies we did a long time ago
5 right before that, yes.

6 DR. JACOBS: That's all I wanted to know.
7 Thank you.

8 DR. ROYAL: Dr. Roberts?

9 DR. ROBERTS: Yes. One of the
10 presentations, I think there was the comment that
11 the fluorescence helps neurosurgeons identify the
12 difference between normal brain and tumor, and
13 therefore helps protect functional areas such as
14 adjacent cortical spinal tracts.

15 My concern is how do you interpret the
16 fluorescence because typically, as we know with
17 gliomas, it's infiltrating disease, and therefore,
18 just because you see fluorescence doesn't mean
19 there isn't normal brain in that area as well.

20 Also, we've had discussions already about
21 patients with already deficits in certain
22 functional areas, which means that there is

1 infiltrating tumor in that particular functional
2 area. So therefore, that functional area would
3 tend to fluoresce with your product.

4 So therefore, the neurosurgeon, while he's
5 operating, could potentially be tempted to resect
6 that tissue if the thought is in there, if they're
7 thinking that this is the differentiation between
8 tumor and normal brain.

9 So I guess I'm just wondering how is that
10 addressed to neurosurgeons potentially in your
11 course?

12 DR. EZRIN: Dr. Hadjipanayis?

13 DR. HADJIPANAYIS: Yes. That's a very
14 important point. So as you mentioned and as we
15 discussed, the biology of these tumors are highly
16 infiltrative. And we depend on other types of
17 tools during the surgery to really help us identify
18 those pathways.

19 One of the things that we stress is that not
20 all patients can have all their fluorescent tissue
21 resected. So that comes back to neurosurgeon
22 judgment, and it also comes back to the Medicines

1 Management Program we discussed, where these are
2 some of the concepts we will go over in the
3 education of neurosurgeons with fluorescence.

4 But another important point, too, is that
5 typically the fluorescence will extend up to the
6 contrast-enhancing rim. And I think that's our
7 goal with surgery, and that's been our paradigm in
8 the resection of tumors.

9 DR. ROYAL: Go ahead, Dr. Roberts.

10 DR. ROBERTS: What about the situation where
11 there is fluorescence that extends beyond the area
12 of enhancement?

13 DR. HADJIPANAYIS: Yes. We would again
14 utilize our neurosurgical judgment and tools with
15 electrophysiologic mapping and other methods to
16 detect those pathways. And we would potentially
17 leave that fluorescent tissue alone. We don't
18 advocate for resecting all fluorescent tissue in
19 all patients.

20 DR. ROBERTS: If you are in a situation
21 where you're not concerned about functional
22 abnormalities or functional deficits, would you

1 then advocate resecting that tissue that's beyond
2 the area of enhancement?

3 DR. HADJIPANAYIS: So a good example would
4 be a right frontal high-grade glioma in an area
5 where there's no immediate functional tracts. Then
6 there would be the opportunity for the neurosurgeon
7 to perform the resection of the fluorescent tissue.

8 DR. ROBERTS: Thank you.

9 DR. ROYAL: Does anyone else have any
10 questions? Ms. Arkus?

11 MS. ARKUS: Thank you. A technical
12 question, in the study materials, liquid is to be
13 taken 3 hours before the surgery, but 1 hour is
14 when the fluorescence is maximized and 3 hours is
15 the half-life. So I was curious about why the
16 liquid is not taken 1 hour before the surgery.

17 DR. STUMMER: So if I may, I would like
18 explain, again, how this works. The ALA is the
19 drug which is ingested, which is just a prodrug.
20 This goes into the tumor, and there it's taking up
21 in the tumor cells. And there, it goes into
22 hemimetabolism, and this takes hours.

1 This begins when we do our initial
2 measurements on this and analog experiments. We
3 saw the first signal after 3 hours, and we saw a
4 maximal signal in 6 hours. From our other
5 experiments that we did in humans, and in skin, and
6 in blood, we know that the peak is about 8 hours.

7 So the discrepancy is that, of course, the
8 ALA is in the blood right away, but it takes a
9 while when it's taking up in the tumor cell for the
10 tumor cell to build up protoporphyrin IX. And this
11 peaks somewhere around 8 hours with a wide range in
12 which we can actually work. That's why the timing
13 is as it is.

14 DR. ROYAL: Dr. Jacobs?

15 DR. JACOBS: Yes. I would like a clearer
16 description of what kind of training program the
17 company would establish. What would be the
18 criteria for training and deciding when the
19 surgeons are appropriately trained?

20 DR. EZRIN: Excellent question. And we have
21 the benefit of having the originator of the
22 training program in Europe with us, Dr. Stummer, as

1 well as one of his several hundred trainees,
2 Dr. Hadjipanayis, who has trained surgeons in the
3 U.S.

4 Gentlemen, who would like to field the
5 question? Dr. Hadjipanayis?

6 DR. HADJIPANAYIS: So we have trained I
7 guess close to 100 neurosurgeons now, and we have
8 now developed a seven-module series and educational
9 program where neurosurgeons are introduced to the
10 concept of fluorescence-guided surgery, dosing, and
11 visualization of the fluorescence, and
12 understanding some of the concepts that we're
13 discussing today.

14 This is an educational program that has to
15 be passed on each part. There's actually tests
16 that the neurosurgeons have to take after going
17 through each of these to advance to the next
18 module.

19 Did that answer the question? Can you
20 restate? I can't hear you. I'm sorry.

21 DR. JACOBS: I'm sorry. How extensive is
22 the course? Are we talking a day or a week?

1 DR. HADJIPANAYIS: It's about a half-day
2 with seven educational modules.

3 Would you like me to go through the modules?
4 Okay.

5 DR. ROYAL: Dr. Zamorano?

6 DR. ZAMORANO: Yes. Another question to
7 clarify some of the information about safety based
8 on the slide CS-14. So with these patients with
9 serious adverse effects, is it possible to comment
10 in this case what percentage of patients have
11 tumors in eloquent versus non-eloquent areas. And
12 also, as in the next slide, CS-15, there is a
13 comparison of the patients with serious adverse
14 effects in the control group and in the 5-ALA
15 group.

16 Was there any difference in these patients
17 in terms of the surgical technique used? So in
18 other words, a difference in patients with
19 intraoperative monitoring or craniotomy? It's a
20 question for the surgeons.

21 DR. STUMMER: I have to say that this study
22 was conducted a number of years ago in Europe,

1 where I know that we weren't as frequently using
2 intraoperative monitoring, mapping technology at
3 all as we are today. And as I recall, this was not
4 really standard at the time, and this might account
5 for some of the neurosurgical deficits we are
6 seeing here.

7 This is much different now. Visualization
8 is still the same, obviously, but the safety
9 measures we're taking to make safety safe is
10 different.

11 So specifically regarding your question,
12 there were no differences in the study group of the
13 patients that we could detect regarding
14 intraoperative monitoring, mapping, taking to the
15 time, with the restriction that these were not as
16 commonly used as they are being used today. As
17 Dr. Brennan mentioned, we are learning as we go.

18 DR. ROYAL: Dr. Herscovitch?

19 DR. HERSCOVITCH: I would like actually to
20 follow up on Bonnie Arkus' question. What is the
21 underlying biochemical difference in tumor cells
22 versus normal astrocytes or neurons that results in

1 the increase accumulation of fluorescent tissue?

2 Is it a certain enzyme which converts it to
3 the fluorescent species, or is it that the
4 fluorescent species is retained in the malignant
5 tissue? What is the understanding of why this
6 actually produces a signal in malignant cells?

7 DR. HADJIPANAYIS: Great question. Slide
8 up, please. There are multiple different theories
9 on this. One of them that's been shown with
10 gliomas is that there's an enzyme called
11 ferrochelatase that allows the formation of
12 hemoglobin with the addition of iron to
13 protoporphyrin XI. That enzyme is present in lower
14 amounts in glioma cells, which allows for the
15 build-up of protoporphyrin IX.

16 Other mechanisms are decreased outflow of
17 the protoporphyrin IX from glioma cells. That's
18 also been shown. There's been some other enzymes,
19 too, in the pathway that can be impacted in glioma
20 cells. It's very impressive, though, how it does
21 accumulate within glioma cells in comparison to
22 other normal cells.

1 DR. HERSCOVITCH: So this is a follow-up
2 question. There are lots of biopsies, and their
3 predictive value showed this, where there was
4 biopsy-positive non-fluorescing tissue, perhaps
5 more at the margins.

6 Is this attributed to much less cell
7 density, just giving it pink or nothing? Or maybe
8 the cells haven't differentiated as much into being
9 malignant ones, so the enzyme machinery is
10 different, which would cause the absent
11 fluorescence in the presence of a positive
12 histology.

13 DR. STUMMER: It's related to a number of
14 factors, as I know, so we have experimental
15 evidence where we took biopsies and we measured the
16 MIB index. The MIB index is an index of
17 proliferation, and we also measured cell density.

18 We found that, independently, for another
19 proliferation, it predicts fluorescence, and also
20 cell density predicts fluorescence. And we have to
21 know that using a surgeon microscope, we are seeing
22 to a definite level of -- as I showed you in the

1 video, if we can see a definite level, we can make
2 the distinction between the border in the
3 millimeter range. When you use a spectrograph, we
4 can go even further because we're picking up
5 individual tumor cells, so this sort of tapers
6 away.

7 So it's not that the protoporphyrin IX is
8 not there. We just cannot visualize it using the
9 microscope, but we know it's there. We can measure
10 it.

11 DR. ROYAL: Dr. Byrne?

12 DR. BYRNE: I just have a question about the
13 degree of fluorescence. So weak versus strong
14 fluorescence seems to me a natural, necessary, but
15 false dichotomy and what's really more of a
16 continuous distribution of cell frequency. Has
17 there been any effort to better quantify degrees of
18 fluorescence?

19 DR. STUMMER: So neurosurgeons that know the
20 method, they will say there is a pink component and
21 there is a red component. And of course, your
22 question was excellent. I couldn't see who

1 actually posed it because I was sitting here behind
2 the wall, but it's a very good question.

3 We also addressed that specifically in our
4 study 28. When we're making this
5 distinction -- which I think is important because
6 with the red, I showed you the 90 percent cell
7 density would have been 10 percent cell density.
8 It gives us additional information that we need.
9 We are now getting close to something which might
10 contain function.

11 So to objectively show that, this is
12 actually something we can measure, we use
13 spectrography in our study 28, the first
14 measure -- to point out, the first measure, the
15 intensity of the fluorescence. And we did find a
16 very strong relationship between the measurement
17 spectrographically and what we were seeing using
18 our eyes for distinguishing the colors.

19 So yes, it's not really tapering away.
20 There are two different compartments of tissue.
21 One is a solid tumor, and the one is the
22 infiltrating tumor with a high cell density down to

1 about 10 percent. That would be my answer.

2 DR. BYRNE: There is one follow-up question.
3 The positive predictive value is about 95 percent
4 on average. So there's 5 percent there where
5 you're doing a biopsy, expecting tumor, and it's
6 not.

7 Have you taken a look at those 5 percent of
8 cases to find out why? What was it that made it
9 fluoresce that wasn't tumor?

10 DR. STUMMER: So it was a small number of
11 biopsies, and I would only rely on those we took in
12 our supervised studies. And as I showed you with
13 the movie, we're actually taking these right next
14 to the area of fluorescence. So this very, very
15 high resolution we're getting with this method also
16 gives us a high resolution for actually doing the
17 testing.

18 Thus, we'd like to say maybe it's just a
19 sampling error, could be that that part is a
20 sampling error. But it might also be that there
21 are some changes in the composition of the brain
22 right next to the tumor, which, reactive

1 astrocytes -- I don't know if that would lead to
2 protoporphyrin IX, and in response to the tumor
3 being very, very, very close.

4 From a surgical point of view, we're looking
5 at millimeters. But as the neurosurgeons know,
6 when using the CUSA, which is a device for
7 resecting tumor, we are beyond that 1-millimeter
8 range. We're going 2, 3 millimeters at a time.

9 So as a neurosurgeon, having used this for
10 so many years, I'm always concerned, of course,
11 when I'm doing surgery, but these few samples of
12 falsely-negative fluorescence are not a major worry
13 to me. We're driven by function also.

14 DR. ROYAL: Dr. Gilbert?

15 DR. GILBERT: Yes. So I want to get back to
16 the issue of risk and benefit and specifically
17 address data that you recently showed for one of my
18 colleagues; and that was the difference in the
19 decline in function as measured by the stroke scale
20 between those patients who started out
21 neurologically normal and those who started out
22 with a neurologic deficit.

1 I'm not sure, but I would suspect that those
2 with a deficit would imply that the tumor was
3 approximating eloquent brain. So if we then making
4 an extrapolation and say there is a difference in
5 the risk between those with non-eloquently located
6 tumors and those with eloquently located tumors, it
7 seems like there's a concentration of increased
8 risk among eloquently located tumors.

9 Since that risk actually of worsening was
10 almost a third -- I think it was 29 percent
11 according to the slide -- how do we reconcile that
12 with the safety profile from a patient standpoint
13 in looking at this technology?

14 DR. STUMMER: First of all, those 29 percent
15 based on the NIH Stroke Score, which is a very
16 sensitive sale, were temporary as I showed you.
17 Most of them went away. But of course, there were
18 several patients where they sort of stayed.

19 We cannot really reconcile that other than
20 telling the neurosurgeon -- and this is probably
21 valid for all the instruments we're
22 using -- neuronavigation, intraoperative MRI, what

1 have you -- that there are risks involved when
2 operating on an eloquent brain.

3 If we can identify those patients up front,
4 which we do, if they have a fixed neurological
5 deficit, I have to start pre-treatment. It's not
6 going to be the edema that's causing, but rather
7 structural infiltration.

8 Those are patients to be aware of, and this
9 is also what's part of the training course, which
10 is just helping surgeons be aware. This is common
11 surgical knowledge. We just refresh their memories
12 that when you operate on an eloquent brain, there's
13 a fixed deficit, and you're going to have higher
14 risks.

15 But again, overall, every single patient
16 counts of course, but these are a small number of
17 patients, and most of them get better right away.

18 DR. GILBERT: So I guess the follow-up
19 question would be, then, you do incur a higher risk
20 in eloquent brain with the use of the 5-ALA because
21 the control of the white-light arm did not have
22 anywhere near the same degree of -- or same

1 percentage of worsening.

2 So in the context of investigators who had
3 been trained as part of the study, even with all
4 the caveats that you've mentioned, there is an
5 increased risk. Again, you do mention that there
6 is recovery, but at least initially, there is some
7 concern that the fluorescence led to removal of
8 tissue, obviously a combination of tumor with
9 functioning brain tissue. That's why you've got
10 the deficit.

11 So going forward, how would you propose in
12 the training course to reduce that risk?

13 DR. STUMMER: Right. This phenomenon, we
14 learned about this in the context of a phase 3
15 prospectively randomized multicentric trial with 16
16 centers. We weren't aware of this.

17 Now, one of the results of the studies,
18 obviously, is that we are now aware of, and we can
19 address this in our training courses, and this is
20 what we do. We show our survival curves. We show
21 the safety data to the surgeons and say that if a
22 patient has a fixed neurological deficit after

1 pre-treatment, indicating functional or structural
2 involvement of the tumor in an [indiscernible]
3 tract or brain, those are patients where you have
4 to at least use monitoring or mapping to identify
5 those structures during surgery, and that is how we
6 address this in the training course.

7 These are data we provide from this phase 3
8 trial. I would like to remind you these were the
9 first patients that were ever operated. I think
10 going on now with many, many, many patients, we
11 would do a completely different setting nowadays.

12 DR. ROYAL: We are 35 minutes behind
13 schedule. I'm going to take one more question.
14 There will be a chance for more questions later in
15 the day.

16 Dr. Toledano?

17 DR. TOLEDANO: This is Dr. Toledano. I'd
18 like to change my question. Given that we have a
19 chance for more questions later in the day, should
20 we take our break?

21 (Laughter.)

22 DR. ROYAL: I'm not sure I understand your

1 question. Are you suggesting that we don't take a
2 break right now?

3 DR. TOLEDANO: No. I'm suggesting we take
4 the break since we can ask our questions later.

5 DR. ROYAL: If you would like to postpone
6 your question, that would be fine.

7 DR. TOLEDANO: Lovely. Thank you.

8 DR. ROYAL: So let's take a five-minute
9 break. So it's 11:07. So 11:12, if we could all
10 come back here.

11 (Whereupon, at 11:07 a.m., a recess was
12 taken.)

13 DR. ROYAL: If committee members can take
14 their seats so that we can get started. We will
15 now proceed with the presentation from the FDA.
16 Dr. Ballard will begin.

17 **FDA Presentation - Betsy Ballard**

18 DR. BALLARD: Thanks, everyone, for coming.
19 I'm going to be presenting the clinical review of
20 this NDA. The proposed indication that the sponsor
21 has given us is that it's to be indicated as an
22 imaging agent to facilitate the real-time detection

1 and visualization of malignant tissue during glioma
2 surgery. The proposed dose is 20 milligrams per
3 kilogram administered orally 2 to 4 hours prior to
4 surgery.

5 When we evaluate new drugs for imaging
6 agents, we have several indications that we
7 commonly use to approve drugs. They are structural
8 delineation, or in this case, visualization,
9 disease or pathology detection or assessment, the
10 functional physiologic or biochemical assessment,
11 and diagnostic or therapeutic patient management.

12 We require substantial evidence, which is
13 defined in the regs as evidence consisting of
14 adequate and well-controlled investigations. The
15 FDA has generally interpreted this to mean that we
16 require two adequate and well-controlled trials,
17 each on its own convincing to establish
18 effectiveness and safety. However, there are
19 occasions when, based on relevant scientific data,
20 one adequate and well-controlled study may be
21 sufficient to establish effectiveness.

22 Simply generating an image for which the

1 implications to the patient are not understood does
2 not necessarily confer benefits to the patient.
3 Therefore, establishing effect of a medical imaging
4 agent often requires data and other information on
5 precision and accuracy as well as the clinical
6 value of using the agent.

7 Approval of medical imaging agents need to
8 provide accurate, reliable information that
9 facilitates clinical management. Examples of this
10 would be helping to make an accurate diagnosis or
11 contributing to a beneficial clinical outcome.

12 The usefulness of an imaging agent may be
13 self-evident, and clinical usefulness can be
14 established by direct demonstration from clinical
15 studies or reference to historical data.

16 What clinical outcomes could support
17 clinical benefit? My part of the presentation is
18 going to focus on the clinical aspect of this and
19 Dr. Mucci is going to address the efficacy portion.

20 An agent designed to enhance visualization
21 of tumor cells may require supportive evidence of
22 clinical usefulness, and from these trials, we've

1 been able to tease out extent of tumor resection,
2 patient survival, and patient function.

3 A preliminary assessment of the data showed
4 insufficient evidence to suggest clinical outcome
5 improvements when we looked at progression-free
6 survival or overall survival. So the focus of this
7 application is on the evidence needed for an
8 indication of improved visualization based on the
9 concordance between histopathology and tissue
10 fluorescence. The clinical outcome data that's
11 available from trial 3 will be examined to help
12 support that claim of improved visualization.

13 I'm going to talk about some of the clinical
14 outcome endpoints. The efficacies, as I said, will
15 be discussed by Dr. Mucci. This is going to
16 include the results from the trial as well as
17 literature studies. And then the safety data comes
18 from the clinical studies and the postmarketing
19 experience that you heard presented.

20 The statistical presentation is going to
21 concentrate on the visualization indication, and in
22 our presentation, they're going to talk about the

1 positive predictive value, the false negative rate,
2 and other exploratory analyses they've done.

3 Let's start with efficacy. The sources of
4 data that we used to look at this are two phase 2
5 trials and one phase 3 trial. Those are 28 and 30,
6 which, as you've heard, are phase 2 and study 3,
7 which was a phase 3 trial. These included patients
8 with newly-diagnosed and recurrent disease. There
9 is a clinical safety database of about 550 patients
10 and also support from a review of the literature.

11 There are common characteristics in all of
12 these three studies, as you've heard. After the
13 biopsies were taken, the surgeon did estimate or
14 assess the extent of resection. They were
15 specifically asked was the remaining fluorescence
16 residual fluorescence and did that area appear
17 abnormal or normal under white light.

18 They described the anatomical area of the
19 remaining tumor. They estimated the volume of the
20 remaining tumor, but the design did not allow for
21 control of ascertainment bias. They did have
22 central neuropathologic and neuroradiologic

1 assessments that were blinded.

2 As I said, all of them included newly-
3 diagnosed patients, except for study 30, which was
4 focused solely on patients with recurrent disease.
5 The tumor grade was generally not known at study
6 entry, and this is because these patients were
7 entered into the study based on MRI
8 characteristics. However, for the efficacy data,
9 only patients with grade 3 and 4 gliomas were
10 included.

11 In study 3, which is the only randomized
12 controlled trial, the clinical outcomes data are
13 going to come from this because this allows us a
14 comparison between the control arm and the treated
15 arm.

16 It was prospective. It was randomized. It
17 was multicenter, and the control was standard
18 operating conditions or what we're calling white
19 light and fluorescence.

20 The study endpoints for the original trial,
21 as you've heard, were completeness of resection,
22 which was defined as the percent of patients

1 without contrast enhancement on MRI, so it's a
2 surrogate endpoint, and also progression-free
3 survival at 6 months.

4 The biopsy selections in this study were
5 done irregardless of their fluorescence capability.
6 The surgeons were allowed to alternate during the
7 course of the procedure between white or blue light
8 as they felt necessary. And it was a geographic
9 assignment of biopsy regions, so they were told to
10 biopsy the tumor core, the tumor margin, and
11 distant from the tumor. And these areas were then
12 assessed as to the intensity of the fluorescence.

13 The first thing we're going to look at is
14 the extent of tumor resection. I'm going to
15 refrain from calling this complete resection
16 because the infiltrative nature of gliomas, we know
17 that it extends beyond radiographic and clinical
18 evidence of the primary mass. So the surgical
19 procedure is usually a debulking procedure rather
20 than what we would traditionally think of as an
21 oncologic resection to clear margins.

22 There are a lot of factors that influence

1 tumor resection: tumor size, location, and
2 proximity to eloquent areas, as we've heard. And
3 the assessment of the extent of resection was,
4 again, based on postoperative MRI. And it was
5 defined as an absence of residual contrast
6 enhancement in comparison to the pre-operative
7 image. It's critical to understand that complete
8 resection by MRI does not correlate with histologic
9 absence of tumor.

10 So when you look at the volume of the tumor
11 pre-operatively in this study, you can see that it
12 was well stratified. They're pretty much equal in
13 both the control arm and the drug arm.

14 When you look at localization, there were
15 fairly equal numbers of patients who had tumors
16 that were deemed to be in eloquent areas in both
17 arms as well as those in non-eloquent areas. It
18 was important to understand that regardless of
19 whether it was felt to be close to an eloquent arm,
20 these patients all had to be deemed resectable on
21 the pre-operative MRI.

22 The one difference that is noticeable here

1 is that tumors close to the optical tracts, there
2 were almost twice as many in the fluorescent arm as
3 in the control arm.

4 The completeness of resection, when we look
5 at this, basically we concur with the company. In
6 the fluorescent arm, there were about 64 percent of
7 these patients who demonstrated to have
8 completeness of resection on the post-operative
9 MRI. That's compared to the control arm, where the
10 completeness of resection was only about
11 38 percent.

12 We're going to look at patient survival.
13 This can be influenced by a variety of things, and
14 typically, the post-operative treatments that these
15 patients are offered will influence the
16 progression-free survival and overall survival.

17 The patients were supposed to receive
18 standard radiation therapy and some of them
19 chemotherapy. However, as we all know, when you
20 deal with patients, not all of them will follow
21 through and get the subsequent treatment that
22 they're required to have.

1 Tumor progression was defined as the
2 occurrence of new tumor or an increase in residual
3 volume of tumor of greater than 25 percent on a
4 subsequent MRI. And the data here, the
5 progression-free survival was 36 percent in the
6 treated arm versus 22 percent in the white-light
7 arm. The Kaplan-Meier curves that were generated
8 by the sponsor for overall survival basically show
9 very little difference between the two arms.

10 So we look at additional literature support.
11 The sponsor gave us 12 publications to look at and
12 the PMA report from Japan. The methodology used to
13 determine which papers would be supportive, they
14 had to have reported on the biopsy-based positive
15 predictive value.

16 There had to be a surgeon's assessment of
17 fluorescence during resection. And preferably,
18 they wanted papers where the resection was
19 completed under white light prior to switching to
20 fluorescence, but they did allow papers where the
21 surgeon switched between the two.

22 We ended up with 11 single-arm prospective

1 studies: two required complete resection under
2 white light followed by fluorescence; six of the
3 studies allow the surgeon to switch as desired; and
4 the remaining three had no mention of when the
5 fluorescence was used.

6 These patients had both primary and
7 recurrent tumors. In some of these papers, the
8 5-ALA was also used in conjunction with other
9 intraoperative assessment methods such as
10 intraoperative MRI, neurophysiologic mapping, or
11 ultrasound.

12 When we look at the results of the
13 literature -- I think you've seen this slide
14 before -- it shows consistently that the positive
15 predictive value in all of these studies is
16 extremely high. The negative predictive value has
17 a wide range from a low of 26 to a high of about
18 67 percent. And this goes to, as we've heard, in
19 terms of where the biopsies are taken from.

20 Ideally, we would like to have patient-
21 reported outcomes as an assessment for if there is
22 a true benefit to patients. This might include

1 things such as reduction of steroid use or
2 reduction of anti-epileptics and also quality-of-
3 life measures. However, we don't have these in
4 these studies. And to the best of my knowledge,
5 there are very few studies in the literature that
6 actually provide these types of outcomes.

7 So what we're looking at for patient
8 functional outcomes are basically the Karnofsky
9 performance status over time. And you can see that
10 there's really very little difference. These
11 patients all had to be higher than 70 percent for
12 entry, so the median values were fairly high to
13 start with, and over time, they basically stayed
14 the same. So for patients who were alive, they
15 really didn't show a deterioration.

16 When we look at the NIH Stroke Scale, this
17 is just another way of looking at what we've
18 already seen, it shows that usually, in the
19 immediate post-operative period, the treated arm
20 seemed to have a worsening in their NIH score.
21 However, that resolved back to baseline and
22 remained the same.

1 It's important to note that the NIH Stroke
2 Scale is an assessment of motor, sensory, and
3 speech, as well as the standard neurologic signs
4 when you're doing a neurologic exam. And the scale
5 goes from 0 to 36. So most of these patients were
6 fairly low to begin with. And although they
7 deteriorated briefly, it was a temporary change,
8 and they returned to baseline.

9 As far as the safety evaluation is
10 concerned, the database for the safety analysis
11 includes two additional studies, ALS-8 and ASL-32.
12 They were divided into drug-related adverse events
13 and procedure-related adverse events.

14 Just as a brief background, study 8 is a
15 single-centered dose-finding study. It was also
16 uncontrolled, and there were 21 patients involved
17 in that. They were given 20 -- they were given
18 multiple doses. And the patients that were given
19 the 20-milligram dose were the ones that were
20 included in the analysis.

21 Thirty-two was a prospective single-arm
22 multicenter study looking strictly at safety of 5-

1 ALA for patients. There were no efficacy endpoints
2 in this study, and they contributed 243 patients to
3 the database.

4 So when we look at the summary of adverse
5 events, you can see that serious adverse events
6 were fairly similar between the control arm and the
7 drug arm. There were very few serious adverse
8 events. The majority of adverse events were
9 grades 1 and 2.

10 When we look at ALS-3 alone, you can see
11 that control arm had greater amounts of grade 1s,
12 but again, grades 3 and 4 were fairly similar, a
13 little bit more grade 4 in the fluorescent arm
14 mainly because there were some immediate deaths in
15 that arm that were not due to the drug.

16 The drug-related adverse events that are
17 identified both in these studies and in the
18 literature are photosensitivity and
19 photodermatitis, GI complaints, nausea, and
20 diarrhea. We can see evidence of hypotension in
21 these patients, an occasional report of
22 hypertension. There's a transient elevation in

1 liver function tests and pyrexia.

2 When we look at the procedure-related
3 events -- and this accounts for the vast majority
4 of the adverse events that were seen -- you see
5 thromboembolic events, DVTs, and pulmonary emboli.
6 These are not uncommon events in patients with
7 malignancies and undergoing surgical procedures.

8 The cardiac and hematologic events that we
9 saw in this study were things like
10 thrombocytopenia, leukocytosis, a drop in your
11 hemoglobin and hematocrit. And these are also
12 things that commonly occur after surgery.

13 Pulmonary events, several causes of death
14 were due to pneumonias. These patients may or may
15 not be on ventilation in the intensive care unit
16 for prolonged periods of time. But the most
17 important one are the neurologic deficits. And we
18 saw motor, visual, and speech deficits, and then
19 brain edema, seizures, and transient alterations in
20 cognitive function.

21 So it's a busy slide, but when I tried to
22 sort out and group together some of the neurologic

1 deficits across all five studies, you can see what
2 they are. And as already has been pointed out by
3 previous questioners, the rate of aphasia and the
4 rate of hemianopsia was higher in the treated arm
5 than the control arm. However, over time, a lot of
6 these deficits did resolve.

7 There's a periodic safety update that was
8 provided to the European Union in 2015. That's
9 where the estimated cumulative number of patients
10 receiving the drug is 58,000. And in that report,
11 there are no reports of unanticipated adverse
12 events.

13 The sponsor has proposed a 5-ALA training
14 program for the neurosurgeons. The program
15 emphasizes information on techniques to optimize
16 the use of 5-ALA fluorescence-guided surgery. It
17 does not mitigate a drug risk. Therefore, it is
18 the FDA's conclusion at this time that we are not
19 considering a training program as a risk evaluation
20 and mitigation strategy.

21 In conclusion, the patient data outcomes are
22 generally supportive of the proposed visualization

1 indication. Data from the publications provide a
2 description of the information and visualization of
3 performance of 5-ALA, and the safety profile of
4 5-ALA is generally acceptable for its proposed
5 clinical use.

6 **FDA Presentation - Anthony Mucci**

7 DR. MUCCI: I am going to unfortunately put
8 you through some of the things you've been through
9 three or four times already today, designs, and
10 after that, I'll really get into the statistical
11 information.

12 There's an outline here. Studies under
13 statistical review will first be presented, an
14 overview of the study designs, which we've already
15 seen, but I'll go into a little more detail. Then
16 there will be a focus on the primary endpoint,
17 which is positive predictive value, but then an
18 equal amount of time will be given to the false
19 negative value. And then there will be some
20 exploratory analyses.

21 The three studies we've already talked
22 about -- so I'll skip over this slide. We know

1 these as study 28, study 30, which are phase 2
2 trials, small numbers of patients, 30, 36,
3 something on that order. And then the single
4 phase 3, which is the prospective randomized group
5 sequential rater-blinded study.

6 I want you to note here that these studies
7 were conducted between 1999 and 2005. Study 28,
8 patients had newly diagnosed unilocular malignant
9 glioma for which surgery was indicated. In order
10 to get into the full analysis set, there had to be
11 verification that the tissue was grade 3 and 4.

12 Tumor resected under white light, then
13 biopsies were collected. I assume they're
14 collected after the resection. There were non-
15 fluorescent, weakly fluorescent, and strongly
16 fluorescent biopsies. The intention was to obtain
17 two non-fluorescent biopsies, three weak
18 fluorescent biopsies, and three strong fluorescent
19 biopsies. The median number of fluorescent
20 biopsies was 6; non-fluorescent biopsies was 4.

21 Biopsies were afterwards classified as
22 positive and negative by histology and completeness

1 of resections determine by a central read of an
2 early post-surgical MRI.

3 The original endpoint here was a patient-
4 level positive predictive value. That is, a
5 patient was scored as successful if all of the
6 fluorescent biopsies turned out to be histology
7 positive. So if you had 8 fluorescent biopsies,
8 all 8 had to be histology positive.

9 The secondary endpoint, which was biopsy
10 level, was simply the percent of histology
11 positives among the fluorescent biopsies. And as
12 we've already heard, that became the primary
13 endpoint for the NDA.

14 Study 30 differed from study 28 first in
15 that the patients had recurrent glioma. Another
16 way in which they differed was how the biopsies
17 were taken. After the resection, but still under
18 white light, an area was found that was white-light
19 normal by the surgeon, and an area was found that
20 was white-light abnormal by the surgeon. This had
21 nothing to do with the fluorescence.

22 It was then that fluorescence was employed

1 in order to obtain strong fluorescent biopsies and
2 weak fluorescent biopsies. And there were a few
3 non-fluorescent biopsies. As was mentioned before,
4 I think there was a total of 16 non-fluorescent
5 biopsies. The median number of fluorescent
6 biopsies was 11.

7 Then we come to the phase 3, the same
8 inclusion criteria. What was different in the
9 collection of the biopsies here from the other two
10 studies was, prior to resection, three areas were
11 chosen for biopsy. One was the core, one was the
12 margin, and a third area was what is called
13 distant.

14 So in general, although I've listed means
15 here, mean fluorescence being two, one weak, one
16 strong, and mean non-fluorescent being one,
17 virtually in all patients there were exactly three
18 biopsies.

19 Afterwards, of course as with the other
20 studies, we had biopsied tissue classified as
21 positive or negative, also completeness of
22 resections determined by a central read. But there

1 was also a follow-up with the patients for
2 progression-free survival, which was evaluated at
3 various times, but most critically at 6 months.

4 This study had original primary endpoints.
5 One was the percentage of patients with complete
6 resection early post-surgery MRI, and the second
7 was the percentage of patients who were progression
8 free at 6 months post-surgery.

9 Now we turn to the primary endpoint, the
10 positive predictive value. All previously
11 mentioned endpoints became secondary. The positive
12 predictive value here is at a biopsy level. It's
13 percent of fluorescent histology-positive biopsies.

14 In our analyses at the FDA, we decided to
15 focus also on a complementary endpoint, which we're
16 calling the false-negative rate for fluorescence,
17 which is the percent of non-fluorescent biopsies
18 that were histology positive. And this is
19 equivalent to 1 minus the negative predictive
20 value.

21 Some general comments about positive
22 predictive value, it's dependent on the prevalence

1 of the disease condition. High prevalences
2 typically produce high positive predictive values.
3 In these studies, as I've mentioned, this PPV is
4 assessed in conjunction with at least one
5 additional complementary endpoint, the
6 false-negative rate. There is a concern here that
7 although the PPV is very high, the FNR is also
8 quite high.

9 We looked at three definitions of positive
10 predictive value, the biopsy level, which I've
11 already mentioned, and the accompanying false-
12 negative rate. Then we looked at within-subject-
13 level biopsy level, which is you do what you would
14 do in the first case, that is it's a percent of
15 fluorescent biopsies which are histology positive,
16 but you do it per patient, and then you average
17 over all patients.

18 There was a third positive predictive value,
19 and that was the sponsor's original one, which was
20 the one in which a patient was scored as a 1 if all
21 fluorescent biopsies were histology positive. This
22 is very stringent. If you had 8 fluorescent

1 biopsies, all 8 had to be histology positives. And
2 that will not be focused on here.

3 Here's the first table. If you look at this
4 table, the emphasis should be to the left in red.
5 This is the positive predictive value at the biopsy
6 level for the three studies. You see that in the
7 phase 3 study, the PPV was 98 percent, n study
8 number 28, it was 96 percent, and in study 30, it
9 was 97 percent. So virtually every fluorescent
10 biopsy was histology positive.

11 If you look at the within-subject positive
12 predictive value, it's virtually the same as the
13 overall biopsy level. The subject level you see
14 starts moving down because of what I mentioned, all
15 the biopsies had to be histology positive.

16 But now, let's get a little more granular.
17 Although the studies record fluorescence as none,
18 weak, and strong, they also record histology
19 according to cellularity, from 0 percent to
20 100 percent. And only 0 percent was considered
21 negative. If you had 1 percent cellularity, you
22 were positive for histology.

1 The next table refines the previous table to
2 reflect these levels. I'd focus on overall
3 findings down at the bottom. If you look at where
4 there was no fluorescence in the biopsy, you'll see
5 that 66 percent of those biopsies turned out to
6 have histology levels of cellularity between 1 and
7 50 percent.

8 So the focus there, if you were non-
9 fluorescent, you'd find mostly 1 percent to
10 50 percent histology of cellularity and a total of
11 close to 80 percent histology positives. There was
12 only 21 percent of these non-fluorescent biopsies,
13 which were histology negative.

14 If you look at the weak fluorescence
15 biopsies, you'll see that the histology moves over
16 to the greater-than-50-percent region. Sixty
17 percent of the cellularities for the weak
18 fluorescent biopsies were greater than 50 percent.
19 There's 35 percent, approximately one-third of
20 these, that histology had cellularities between 1
21 and 50 percent. The strong fluorescent biopsies
22 were overwhelmingly high cellularity, greater than

1 50 percent.

2 Now, let's look at fluorescence versus tumor
3 type. Strong fluorescence corresponded to solid
4 tumor. Weak fluorescence corresponded either to
5 solid tumor or infiltrative tumor. And weak
6 fluorescence was more likely in areas at the tumor
7 margins. However, in areas of non-fluorescence,
8 tumor was also likely to be present, largely
9 infiltrative.

10 This table refers strictly to the phase 3
11 study in which I looked at core, margin, and
12 distant. Remember, those were the three places
13 where the biopsies were taken. And I looked at the
14 combinations of fluorescence level and histology.

15 Now, if you look at the core, 82 percent of
16 the biopsies were strongly fluorescent and
17 histology positive. The only other category there
18 that shows up at all is weak fluorescence and
19 histology positive. But basically, at the core,
20 you're talking about strong fluorescence and
21 positive histology.

22 If you go to the margin, there's a

1 concentration on weak fluorescence and positive
2 histology, 83 percent there, marginal everywhere
3 else. If you go to the distant biopsies, they're
4 concentrated on non-fluorescence, but also
5 histology positive.

6 So the first take-home message from this
7 slide is virtually every biopsy was histology
8 positive. And the other message here is that, if
9 you are in the core, there's strong fluorescence,
10 if you're at the margin, there's weak fluorescence,
11 if you're distant, there's no fluorescence.

12 We'll take this a little further and look at
13 complete resection. Up to this point, I've just
14 talked about the predictive values. This was
15 mentioned before, complete resection rates in the
16 phase 3 study. In the 5-ALA arm, it was
17 64 percent. In the control arm, it was 38 percent.
18 This is a statistically significant difference.
19 The difference is 26 percent. I have a 95 percent
20 CI here, which is a normalized approximation. I
21 think it differs a little bit from the sponsor's,
22 but it doesn't matter. It's overwhelming.

1 How do we relate fluorescence to complete
2 resection? Here, we're looking only at the 5-ALA
3 arm of the phase 3 study. The analysis here showed
4 that the non-fluorescent tissue was histology
5 positive for 4 out of 5 patients.

6 Now, I make some assumptions here. The
7 assumptions are non-fluorescent tissue was not
8 resected, and the other assumption is that the MRI
9 enhances histology-positive tissue, which we
10 assume.

11 If these two hypotheses are in place, then
12 complete resections on histology-positive patients
13 should be less than complete resections on
14 histology-negative patients.

15 This is a subset of that data, that I had
16 available for making this analysis. There were
17 137 patients out of the 176 on which I could do
18 this. If you look at the patients with negative
19 histology on their distant biopsies, the complete
20 resection rate was 41 percent. If you look at the
21 patients with positive histology on their distant
22 biopsies -- and remember, all of these biopsies are

1 non-fluorescent -- the percentage of complete
2 resections was 36 percent.

3 So there appears to be no significant
4 statistical difference between the negative
5 histology and the positive histology patients, all
6 of whom were non-fluorescent for distant.

7 Observations that I want to make here,
8 complete resection rate, as I've said before, on
9 the 5-ALA arm was greater than complete resection
10 rate on the control arm. But for the 5-ALA arm
11 alone, fluorescence level was determined almost
12 entirely by biopsy site, histology was determined
13 largely to be positive regardless of biopsy site,
14 and the complete resection level for patients whose
15 non-fluorescent was histology negative was about
16 the same as the complete resection level for
17 patients whose non-fluorescent tissue was histology
18 positive.

19 Concluding remarks, PPV was very high, but
20 the complementary biopsy-level false-negative rate
21 was also very high; 4 in every 5 non-fluorescent
22 biopsies were histology positive. The intensity of

1 fluorescence correlates with tumor cellularity.
2 The 5-ALA arm results did not provide for a direct
3 link between PPV and complete resection.

4 I want to emphasize here that there was a
5 difference between the control arm and the 5-ALA
6 arm in terms of complete resection. The difficulty
7 was in tying that difference to the positive
8 predictive value or the negative prediction rate.

9 Added value of a new diagnostic should be
10 its ability to correctly classify disease state in
11 cases where standard diagnostics are uncertain.

12 The diagnostic differential of fluorescence
13 is not clear from the phase 3 study. First of all,
14 it can be predicted by biopsy region, and region
15 corresponds more closely to histology than does
16 fluorescence.

17 So the added value of the 5-ALA fluorescence
18 is more directly addressed by increased complete
19 resection when you compare the test arm to the
20 control arm, which might be biased because of the
21 absence of operator blinding and study design. And
22 that's it.

Clarifying Questions

1
2 DR. ROYAL: Are there any clarifying
3 questions for the FDA? Please remember to state
4 your names for the record before you speak, and if
5 you could turn your name card, that would be
6 helpful to me. Dr. Frank?

7 DR. FRANK: Yes. This is a clinical
8 question, so it may be unfair to put it to a
9 statistician. But by pointing out that the
10 fluorescent-negative regions were histology
11 positive, are you suggesting that perhaps the
12 surgeon should have known that, would have operated
13 that area had it been fluorescent positive, and has
14 missed the opportunity to resect histology-positive
15 area?

16 DR. MUCCI: You're right. It's not a
17 question for a statistician.

18 (Laughter.)

19 DR. FRANK: I think I made the point by
20 asking the question.

21 DR. MUCCI: All I can say as a statistician
22 is I think they wanted to get a fairly broad

1 sample. And the assumption was that if you're
2 distant from the core, you're going to have non-
3 fluorescence. What the histology would be is
4 anyone's guess, and it turned out to be positive.

5 But maybe Betsy can answer that.

6 DR. BALLARD: Can you repeat the question
7 again?

8 DR. FRANK: So my question is, by pointing
9 out that fluorescent-negative biopsies distant
10 might be histology positive, is the suggestion that
11 the surgeon should have gone there?

12 DR. BALLARD: Ideally, if this was a perfect
13 world and we didn't have to worry about other
14 things in the brain, the answer to that question
15 would be yes. However, because of the area that
16 we're operating in, you have to make judgments
17 based on the location of the tumor.

18 Even though you know that there may be
19 positive fluorescence left behind, it may not be in
20 the patient's best interest to resect that area of
21 tumor. And that's why it's a particular problem
22 when you're operating in the brain. If we were

1 using other types of solid tumors, it may be less
2 of an issue.

3 DR. ROYAL: Dr. Herscovitch?

4 DR. HERSCOVITCH: Thank you. I just have a
5 question about my understanding of selecting
6 outcome measures. And biopsy-level positive
7 predictive value was only a secondary in the two
8 smaller studies and wasn't even an endpoint in the
9 larger phase 3 study. But the sponsor went back on
10 a post hoc basis and did careful calculations of
11 biopsy-level PPV.

12 Now, at least in my simple-minded
13 understanding of statistics, when you do something
14 different than what you originally designed a study
15 to do in terms of endpoints, and in fact when you
16 pick an endpoint that wasn't even mentioned in the
17 study, as happened in study 3, does it detract from
18 the conceptual statistical strength of your
19 analyses as opposed to using the primary and
20 perhaps secondary endpoints that you specified to
21 begin with?

22 Is that poor statistical practice, or does

1 it cast any concern about the data? That's one
2 question I have.

3 DR. ROYAL: Dr. Mucci?

4 DR. TOLEDANO: Hi. This is Dr. Toledano.
5 Dr. Mucci is passing it to me. So with any well
6 conducted study, you have a prespecified plan,
7 prespecified stop plan based on the endpoints.

8 Sometimes, as you're enrolling the patients
9 and you're still blinded to the data, science
10 changes. So you may update your plan before the
11 data locks and comes to you as the statistician.

12 But other times, as we see here, you already
13 know what happened for the planned endpoints, so
14 you're making these post hoc analyses, and then you
15 do have to be careful about why you chose those
16 particular post hoc analyses and whether that was
17 objective.

18 So maybe that gave you enough for Dr. Mucci
19 to take off one.

20 DR. MUCCI: -- a particular one.

21 The emphasis here would be on visualization,
22 and certainly in the phase 3, the PFS is not a

1 visualization endpoint. So if you're going off for
2 a visualization, you might have to go back and
3 replace some clinical endpoint with a visualization
4 endpoint. But this is really the sponsor's
5 ballgame, not mine.

6 DR. HERSCOVITCH: I'm sorry. Just a couple
7 more questions. There is a table on page 16,
8 table 5, which compares for histology-positive and
9 histology-negative biopsies, how the white light
10 did versus the fluorescent.

11 If you look at the diagonals and the off-
12 diagonals, it appears -- and maybe the FDA staff
13 can tell me if I'm correct in interpreting that
14 table. It appears that page 16 of 24 -- and that's
15 table 5 just at the bottom. This is in the
16 briefing materials.

17 DR. MUCCI: Yes. This is where we have
18 core, margin, and distant?

19 DR. HERSCOVITCH: No. This is page 16 of
20 24, and it's table 5 biopsy-level data. Table 5.

21 DR. MUCCI: And this is from the clinical
22 review

1 DR. HERSCOVITCH: This is FDA MIDAC briefing
2 document page 16, at the bottom.

3 DR. MUCCI: Let me see it.

4 DR. HERSCOVITCH: It appears, for both sides
5 of the table, that the white-light and
6 fluorescent-light biopsy evaluations were really
7 identical. And if anything, the white light
8 appeared a bit better if you look at the top cell
9 second from the left. It just appears that there's
10 no difference at all between using fluorescence or
11 not using fluorescence and just using white light
12 if you look at the diagonals and the small number
13 in the off-diagonals.

14 Is that a correct interpretation of that
15 table?

16 DR. MUCCI: Maybe another way of saying
17 it -- and maybe this is what you're inferring from
18 that -- is virtually all biopsies were positive. I
19 mean virtually. If there was fluorescence, it was
20 almost 100 percent. If there was non-fluorescence,
21 it was 80 percent.

22 Is that what you're observing here?

1 DR. HERSCOVITCH: Right. But I'm also
2 observing no difference between white light and
3 fluorescence in general because the diagonals have
4 high numbers, and the off-diagonals are very small.
5 So that seems to me a very, very high concordance
6 and the fact that the fluorescence didn't add much
7 to a white light evaluation on a per-biopsy basis.

8 DR. MUCCI: Yes. But I'm still uncertain as
9 to how white light made these classifications.

10 Does the other side of the room know how
11 that was done? Calling a biopsy positive or
12 negative under white light, it wasn't clear to me
13 reading through any of the documents how that was
14 done.

15 DR. STUMMER: Our highly supervised study 28
16 and 30, in study 3, there was no supervision, and
17 we made no prespecification about that because we
18 knew that -- or we suspected that in the
19 prospective multicentric setting with two surgeries
20 per study site, we would not be able to control for
21 that in any way.

22 So we are not focusing on location of these

1 biopsies. This was not controlled for. We did not
2 know where the biopsies were taken in relationship
3 to, and the contrast-enhancing tumor, you would
4 maybe see.

5 So if we take the biopsy out of this part of
6 the cavity and the contrast-enhancement tumor will
7 be on this part of the cavity, we don't have any of
8 that information relating to these studies.

9 DR. HERSCOVITCH: I have one more question.
10 One assumes that there is some degree of confidence
11 in the completeness of resection data. It was,
12 like, 65 versus 30-something --

13 DR. MUCCI: Yes.

14 DR. HERSCOVITCH: -- as determined by
15 contrast-enhanced MRI post-op, although everybody
16 knows that there still is some infiltrating tumor
17 on the borders. But I'd just like to ask about the
18 use of progression-free survival because I believe
19 one of the FDA presenters questioned it, although
20 there are data. It was I think 35 versus 20 on the
21 basis of imaging and 21 versus 11, I guess, if you
22 include clinical and imaging.

1 So should we be giving weight to those
2 results or not really on the basis of the FDA
3 analysis?

4 DR. MUCCI: The FDA has focused on only
5 those endpoints that involve visualization, but
6 there are some backup slides. Should we just look
7 at the backup slides?

8 DR. MARZELLA: In essence, we are focusing
9 on the visualization endpoints, and the focus for
10 the other endpoints is basically to view them as
11 supportive and to see whether or not they trend in
12 a general direction.

13 I think that given the lack of reliance on
14 MRI outcomes as evidence of tumor progression, and
15 given also that, to my reading, there was an
16 adequate control of the post-surgical patient
17 management, that we don't view those outcomes as
18 being convincing enough to allow a claim of
19 improvement in survival, that and also the lack of
20 concordance between overall survival and
21 progression-free survival.

22 So given those uncertainties, we looked at

1 basically clinical outcome data as being generally
2 supportive and focused on the visualization claim.
3 So objectively, what is the evidence that, based on
4 histopathology, the fluorescence does what it's
5 intended to do, which is to identify areas of
6 tumor.

7 DR. HERSCOVITCH: But there was confidence
8 in the completeness of resection data with the 65
9 versus 30 something.

10 DR. MARZELLA: Yes, yes. The numbers were
11 verified.

12 DR. HERSCOVITCH: Thank you.

13 DR. ROYAL: Dr. Roberts?

14 DR. ROBERTS: Yes. My question to the FDA,
15 we talked about positive predictive value and
16 negative predictive value, but potentially more
17 concerning would be the cases where the
18 fluorescence was positive, but the histology was
19 negative, and your analysis didn't focus on that as
20 much.

21 In particular, even going back to the tables
22 that you had discussed earlier, if you look at

1 table 7 with study 30, there was 11 cases where the
2 white light was negative, and therefore the surgeon
3 would potentially have stopped surgery. However,
4 the fluorescence was positive, so that would mean
5 the surgeon would continue, but the biopsy was
6 negative.

7 So that would be 11 cases where the surgeon
8 was potentially misguided to resect normal brain
9 tissue. So I was just wondering about the level of
10 concern by the FDA in those cases.

11 DR. MARZELLA: I think that is an unfair
12 question for the statistician.

13 DR. MUCCI: It's not for me.

14 DR. MARZELLA: I think that the positive
15 predictive value numbers are rather high for what
16 we typically see for an imaging agent, but we view
17 this as basically a risk-benefit assessment; what
18 is the overall benefit, given the fact that there
19 are some areas that are not in complete concordance
20 with the histopathology?

21 So clearly, there is some concern, but we
22 look to the overall evidence, the actual numbers as

1 well as the clinical outcomes, to make a risk-
2 benefit assessment.

3 DR. ROYAL: Go ahead. Dr. Roberts. Sorry.

4 DR. ROBERTS: Sorry, one more question.
5 Also, given the fact that children also present
6 with high-grade gliomas and other tumors where
7 extent of resection is important, I'm just
8 wondering about the lack of pediatric data in this.

9 DR. BALLARD: The normal criteria for all of
10 these patients were ages 18 and over. So there
11 were no pediatric patients involved in these
12 studies. So we don't have any data to address the
13 pediatric population, even though they can harbor
14 malignant gliomas.

15 DR. ROBERTS: Right, yes. I was going to
16 ask that question to the sponsor earlier why
17 pediatric patients were excluded.

18 DR. MARZELLA: Given that this is an orphan
19 indication, there's no requirement that there be a
20 pediatric study, but we would invite obviously the
21 sponsor to look into this because there may be some
22 value clearly in this pediatric patient population.

1 DR. ROYAL: Dr. Hackney?

2 DR. HACKNEY: This is a broad question to
3 the FDA about what significance we should attach to
4 the histology findings. My take on it is that,
5 without any data, if you ask me what do you find if
6 you biopsy the brain in progressively farther-
7 removed locations around a malignant glioma, my
8 answer would be tumor cells, fewer as you get
9 farther away. And nobody ever intends to resect
10 every tumor cell from someone with a malignant
11 glioma.

12 So the finding that you typically get some
13 tumor cells and therefore, by definition, positive
14 biopsies in areas that are fluorescent negative is
15 exactly what you would hope for if you have
16 something that isn't going to tell you take out the
17 entire brain.

18 So I guess I'm not sure how much attention I
19 should pay to that whole question. It might have
20 been interesting if there was very little
21 relationship, if they were finding lots and lots of
22 areas that seemed randomly related to whether there

1 were tumor cells. But given the biology of the
2 tumor at hand, it seems to me there couldn't be any
3 other finding than the ones they came up with,
4 which is a very high level of positive biopsies in
5 the vicinity of malignant tumors.

6 So my question is, should this factor into
7 our decision-making? It seems there's not much
8 actionable information here.

9 DR. MUCCI: I'll address part of that, but
10 I'll address it from a purely logical point of
11 view, not even statistical.

12 If you have some validation, which is almost
13 always on one side, histology positive, then it
14 becomes difficult to see how it allows you to have
15 any differential effect whatsoever.

16 DR. MARZELLA: So that is precisely the
17 reason that we are convening here, because it is a
18 very difficult situation. Given that the tumor is
19 so infiltrative, what is the value of trying to add
20 fluorescence visualization?

21 I think that, clearly, there is a
22 correlation between the extent of cellular

1 infiltration and the intensity of the fluorescence.
2 So there would seem to be some validity to this
3 observation.

4 In this particular context, we're asking the
5 experts whether, in your view, this would be a
6 useful tool given that the biology of the tumor is
7 such that we're not dealing with curative
8 resection. We're talking about debulking.

9 So we have a great deal of difficulty in
10 trying to assign a value to additional debulking,
11 if you will, and so you are focusing on really the
12 critical question that we're struggling with.

13 DR. ROYAL: Dr. Gilbert?

14 DR. GILBERT: So I would like to continue
15 just a little bit on this issue of histology and
16 fluorescence and ask the converse question, which
17 is the situation where the fluorescence was absent,
18 and yet the histology data showed a very high
19 percentage of tumor cells.

20 So as opposed to Dr. Hackney, who pointed
21 out appropriately that there is a gradient, what
22 advantage is there if in fact dense tumor

1 cell -- and we have that data from slide 17 from
2 Dr. Mucci's presentation where 13 percent had a
3 high density, yet no fluorescence. And that would
4 obviously be an area that would not be subject to
5 resection based on the criteria.

6 So yes, we know they're infiltrative, and
7 what's your threshold? We know that when there's
8 been attempts to be overly aggressive with surgical
9 resection, it's been detrimental to patients, but
10 this is the converse.

11 So how should we look at this as far as the
12 sort of risk to benefit in the context of this
13 technology?

14 DR. MUCCI: I would just have to reiterate
15 that it seems the critical thing is the resection.
16 And the difficulty is aligning the resection in
17 anyway with the biopsies and the histopathology.

18 Clearly, in the phase 3 study, you have a
19 control arm, and you see that the test arm
20 certainly did better in terms of the complete
21 resection. But then you go back and try to say,
22 okay, we have better complete resections here. We

1 have an endpoint which is PPV or a complementary
2 endpoint, which is false-negative rate. How do we
3 tie those in with the complete resection? And this
4 table indicates that there's some difficulty in
5 tying them in. They might be tied in, but the
6 particularly way in which it happens is not clear.

7 DR. GILBERT: Thank you.

8 DR. ROYAL: Dr. Toledano?

9 DR. TOLEDANO: Thank you. Yes. So this is
10 Dr. Toledano, and I'm getting to my question. It's
11 on slide 10 for Dr. Mucci.

12 One of the things that we've heard is that
13 the studies happened a long time ago, and it's been
14 at least 10 years since they even finished up. Is
15 there any new knowledge to support replacing the
16 original endpoints with biopsy-level PPV?

17 Part two, do you have concerns with using
18 data collected for one set of endpoints to evaluate
19 a different set of endpoints?

20 DR. MUCCI: I'm just the messenger.

21 DR. TOLEDANO: Well, thank you.

22 DR. MUCCI: This design comes from the

1 sponsor, not from the FDA.

2 DR. ROYAL: Dr. Jacobs?

3 DR. MARZELLA: I just wanted to comment
4 earlier with regards to the comment on complete
5 resection. I think the sponsor showed some
6 correlations between extent of resection and
7 survival, both in the randomized study, and that
8 correlation was apparent in both the control arm
9 and the experimental arm.

10 I think the FDA has validated those
11 analyses. Obviously, they're exploratory, but they
12 are just an attempt to try to make that correlation

13 DR. JACOBS: I have what I guess is really a
14 philosophical question, which was induced by the
15 last slide from the statistical review about the
16 study being biased because of the absence of
17 operator blinding in the study design.

18 Does the FDA have any knowledge of a way to
19 blind a surgeon? I mean, one of the issues that
20 we're dealing with here is that, even if you look
21 at something and you know it's positive, you may
22 not be able to take it out because of where it is.

1 So I don't quite understand how you could possibly
2 do a blinded surgical study.

3 DR. MUCCI: Before I answer this, we will
4 look at a backup slide.

5 DR. MARZELLA: While the backup slide is
6 going up --

7 DR. MUCCI: Then we will have to go verbal.
8 I think a direct answer to your question would be
9 that the blinding is that the surgeon knows that he
10 will not have access to the fluorescence, therefore
11 he might be biased to be more conservative than he
12 would be otherwise. That's one way you can look at
13 it.

14 But there are alternatives to this that the
15 FDA has been considering. And I don't know -- are
16 we spelling this out here or is it on a different
17 slide? Yes.

18 What you would do is the surgeon doesn't
19 know, at the beginning when he's starting and when
20 he's working on the white light, if he's going to
21 have access to the fluorescence. You open an
22 envelope and it says, yes, proceed to fluorescence

1 or don't. And that's the only way I can think of
2 to get around this bias issue.

3 He doesn't know. The way these studies were
4 conducted, if you're in the control arm, you know
5 you're not going to have access to the
6 fluorescence. If you're in this new design, you
7 don't know. You don't know until you open that
8 envelope, so you're going to do whatever you can
9 under the white light.

10 DR. JACOBS: So you are saying, basically,
11 you would do the equivalent of study design 3, but
12 the surgeon wouldn't know which arm the patient was
13 in, so --

14 DR. MUCCI: He wouldn't know which arm the
15 patient was in.

16 DR. JACOBS: -- he could use the
17 fluorescence or not, but if the patient hadn't been
18 given the drug --

19 DR. MUCCI: Yes.

20 DR. BYRNE: If we could take a look at
21 table 2 again, the fluorescence level versus
22 histology cellularity. I just want to get back to

1 practical matters here.

2 From a surgical standpoint, we know that
3 it's going to be diffusely positive where you
4 biopsy. You could be an inch away and it might be
5 positive, and it has nothing to do with MRI
6 findings or fluorescence. That's a given in high-
7 grade glioma.

8 I look at it as if you look at the overall
9 findings, if you look at the statistics of
10 fluorescence strong, histology greater than
11 50 percent, that seems to me to be the bullseye of
12 what a surgeon is thinking about during surgery.

13 The histology 1 t 50 percent is a judgment
14 call, and then the fluorescence none, histology
15 none is also of some value. But if you looked at
16 it from that standpoint, if you're looking at only
17 the histology greater than 50 percent and strong
18 fluorescence, is that statistically compelling to
19 you?

20 DR. MUCCI: It's a strong correlation
21 between the strong fluorescence and certainly the
22 cellularity level. But if you look at study ALS-3

1 above, ALS-3 has a lot of patients. So the overall
2 findings at the bottom is mostly reflective of the
3 top.

4 On the top, this strong fluorescence was
5 largely at the core. So basically, what that is
6 saying is, if you're taking a biopsy from the core,
7 it's going to have high cellularity. If you're
8 taking it from the margin, it's going to be largely
9 infiltrative.

10 DR. BYRNE: Right. And I understand and
11 agree. I'm just trying to make it a practical view
12 from the surgeon's view through the microscope, how
13 a surgeon might use this as an imaging tool.

14 The issue about whether or not a biopsy
15 remote is going to be positive to us is just a
16 given. And there might be scenarios where the
17 surgeon is looking through the white light and not
18 quite seeing what they think they're going to see
19 through white light or that might be of some value
20 for the histology and the strong fluorescence.

21 I'm just looking -- I'm sort of turning it
22 upside down and looking at where is it strongly

1 positive.

2 DR. TOLEDANO: This is Dr. Toledano, and
3 I'll request that you please put backup slide
4 number 3. And it's the backup slide number 3.
5 This relates to a possible study design that could
6 avoid or control operator bias, and it gets to this
7 question of how surgeons would actually respond to
8 fluorescence and the visualization.

9 If we do the study the way that it's
10 outlined on the slide, we would know how everybody
11 acts with white light under the presumption that
12 they would never see fluorescence. But I don't
13 know how accurate that would be in terms of showing
14 the added value of fluorescence when they know
15 they're going to get fluorescence.

16 Does the behavior of the surgeon change in
17 white light depending on whether they get
18 fluorescence? And if so, should we be looking at
19 that instead of the not-change?

20 That's my question for Dr. Mucci.

21 DR. MARZELLA: If I may interject, I would
22 like to go back to the question that was being

1 asked earlier in terms of visualization. I think
2 the point of how to design a trial that would avoid
3 operator bias, maybe we could reserve judgment on
4 that, at least for the time being.

5 But the issue is that, for a visualization
6 claim, the division does not require clinical
7 outcome data, that there needs to be some level of
8 supporting evidence that points to the value of the
9 imaging agent.

10 In some clinical contexts, the value is
11 obvious. If you take an x-ray picture and you see
12 a fracture, you don't have to show patients that in
13 fact the correct diagnosis done had a good clinical
14 outcome.

15 So to be able to infer clinical value
16 is -- if this was a curative tumor and we were
17 talking about validating the extent of tumor-free
18 resection, we wouldn't have a problem.

19 So we are looking at the fact that it is a
20 disease, which is lethal, that there potentially
21 could be some value in the ability to visualize
22 tumor, and that we also are looking at an approach

1 that looks at the totality of the data to see
2 whether in fact there is increased extent of,
3 quote, "complete resection."

4 We looked for other clinical outcome data to
5 see whether or not it was trending in the correct
6 direction. We also look at a risk-benefit
7 consideration. What is the potential for harm for
8 the drug or potential for over-aggressive surgery?

9 So it's a difficult decision, but I wanted
10 to make the point that, for a visualization claim,
11 the thing that we would focus on would be the
12 ability to verify that the fluorescence does what
13 it purports to do, which is to identify areas of
14 tumor.

15 I don't know if that helps you put it into
16 context. And we would invite the neurosurgeons to
17 opine as to whether or not, in their view, this
18 potentially could be a useful tool to their
19 surgical practice.

20 DR. ROYAL: We are going to be breaking at
21 12:30 for lunch. But are there any other
22 questions? Dr. Herscovitch?

1 DR. HERSCOVITCH: Just a comment to what
2 Dr. Marzella says. If it's a visualization claim
3 that it visualizes tumor, which is PPV, how do we
4 have to take into account the NPV? Because it in
5 lots of cases didn't visualize that tumor. So just
6 to make that comment.

7 DR. MARZELLA: It's a critical component of
8 the assessment obviously. Both PPV and NPV are
9 important.

10 DR. HERSCOVITCH: So although PPV was picked
11 retrospectively as a primary endpoint, if it's
12 visualization, you want to know if it visualizes
13 something that is there and if it correctly says
14 something isn't there --

15 DR. MARZELLA: Exactly.

16 DR. HERSCOVITCH: -- in which case that's
17 not the case, given the low NPV.

18 DR. MARZELLA: Yes.

19 DR. ROYAL: Dr. Toledano?

20 DR. TOLEDANO: So it's Dr. Toledano, and
21 while we're talking about PPV and NPV, I'd like to
22 bring up this question of biopsy level and patient

1 level because the outcomes happen at the patient
2 level. The medical management happens at the
3 patient level.

4 So is there a preference within FDA on
5 patient level, biopsy level, something in between?
6 Please discuss.

7 DR. MARZELLA: I'll let the statistician
8 comment. What we are looking is basically for
9 concordance between these outcomes, and they are
10 very concordant. But I'll let the statistician
11 comment on the value of all of them.

12 DR. MUCCI: Well, if possible, patient
13 level. If there's a way to get patient level, it's
14 preferable.

15 DR. MARZELLA: I think we would all agree
16 that that's the most stringent, and that was the
17 one that the performance was lower relative to the
18 outcome.

19 DR. MUCCI: I can give a general kind of
20 example. A patient-level outcome that would be
21 determined by something you find locally, you look
22 under, say, a control, you see all you can find.

1 And then a patient-level outcome would be, with the
2 test diagnostic, do you find something you didn't
3 find with the control?

4 It'd be that one extra thing you find on the
5 patient. That would be a patient-level outcome.

6 DR. TOLEDANO: So its Toledano again, and
7 I'll just continue. Is there a way, when you're
8 looking at these biopsy levels, to not be so
9 stringent, all the biopsies have to be positive,
10 but to do some sort of a clustering?

11 Like you looked at averaging, and you said
12 let's look within each patient. So let's go to
13 slide 14. Definition 2 says, let's look for each
14 subject. Let's take the percent of fluorescent
15 biopsies that are histology positive, and we get
16 for each patient that percent, and then we average
17 across them.

18 An additional option would be something like
19 methods to analyze clustered binary data like a
20 good old Rao and Scott. So you put each biopsy in,
21 but you adjust for clustering of the biopsies
22 within a patient. I just wonder if that's an

1 approach that you have considered or would
2 consider.

3 DR. MUCCI: With the one that was used, the
4 biopsy level -- I don't know if I'm answering your
5 question -- the biopsy level, if you were going to
6 get confidence intervals of any kind, you would
7 have to take clustering into account.

8 DR. TOLEDANO: They didn't.

9 DR. MUCCI: Then I guess not, yes. And I
10 would mention that the easy way out with
11 within-subject is that you're treating each
12 subject. You've got an ID, so the clustering drops
13 out of the picture.

14 DR. ROYAL: Dr. Jacobs? This will be the
15 last question.

16 DR. JACOBS: The last question, okay. It's
17 again another philosophical question. Given that
18 this is an often indication and pretty deadly
19 disease, what weighting would the FDA think that we
20 should be providing to those aspects?

21 It's much harder obviously to do a large
22 clinical trial or to do controlled clinical trials

1 with diseases where there aren't very many patients
2 or they present with awful symptoms.

3 So what's the balance there? Because this
4 is obviously not hypertension. Then this is
5 something where you're providing a little extra
6 information to a surgeon who then uses it according
7 to his or her judgment.

8 So is there a feeling of how we should look
9 at these?

10 DR. MARZELLA: Yes. I think that it would
11 fall under their risk-benefit calculation. So
12 given the lethality of the disease, given the fact
13 that there isn't a satisfactory alternative, what
14 would be the risk-benefit?

15 So we would accept a small increment in
16 benefit if it was outweighed by the risk. But by
17 law, we are required to have evidence that a drug
18 is safe and effective. So we would not market
19 something that we did not have evidence. We would
20 not have substantial evidence for efficacy.

21 So it's a risk-benefit calculation. If
22 there were serious downsides to this drug, we would

1 be requiring more data to fully evaluate the
2 safety. This is a hypothetical. So it's largely a
3 risk-benefit consideration.

4 DR. ROYAL: Dr. Frank, you have the last
5 question.

6 DR. FRANK: Thank you. It seems to be clear
7 from Dr. Brennan's presentation earlier of the
8 clinical science here that resecting at least
9 80 percent is important for patient benefit, and
10 resecting more is better.

11 It further seems to me that we've seen
12 evidence that the fluorescent agent identifies
13 tumor that was missed on typical white-light
14 visualization. And therefore, that could only help
15 the surgeon in the use of his or her clinical
16 judgment as to whether go further, balancing that
17 against the risk of diminishing function. However,
18 it does seem to me to be of potential concern if
19 the fluorescent agent were leading the surgeon
20 inappropriately to remove tissue that shouldn't
21 have been.

22 So my question for Dr. Ballard and/or

1 Dr. Marzella is, is there any concern that this
2 agent might lead a surgeon astray to resect tissue
3 that needn't have been resected?

4 DR. MARZELLA: Maybe I'll begin by saying we
5 are placing reliance on the randomized clinical
6 trial to have a comparison of adverse neurologic
7 reaction. As it was pointed out by Dr. Ballard,
8 there is some suggestion that perhaps at least some
9 of the serious neurologic events are higher in the
10 treated arm relative to the control.

11 So there is that risk-benefit consideration.
12 However, having said that, I think that the FDA
13 assessment at this point is that safety profile
14 seems to be acceptable given the setting in which
15 the drug is going to be used.

16 DR. BALLARD: I just want to say, if you
17 look at the data that was presented -- I think
18 Dr. Mucci had one of the slides -- a number of
19 false-positive results in this study were very,
20 very low. So I think the likelihood that it's
21 going to lead a surgeon astray in that regard is
22 probably not very real.

1 A lot of this, because of the area that
2 you're operating in, so much relies on surgeon's
3 judgment and surgeon's ability to identify areas
4 that are critical. And they're not going to take
5 it out even if there's fluorescence.

6 DR. ROYAL: We will now break for lunch. We
7 will reconvene again in this room 45 minutes from
8 now at 1:15 p.m. Please take any personal
9 belongings you may want with you at this time.
10 Committee members, please remember there is no
11 discussion of the meeting during lunch amongst
12 yourselves, with the press, or with any member of
13 the audience. Thank you.

14 (Whereupon, at 12:34 p.m., a lunch recess
15 was taken.)

16
17
18
19
20
21
22

A F T E R N O O N S E S S I O N

(1:16 p.m.)

Open Public Hearing

DR. ROYAL: I am going to resume the meeting.

Both the Food and Drug Administration and the public believe in a transparent process for information-gathering and decision-making. To ensure such transparency at the open public hearing session of the advisory committee meeting, FDA believes it is important to understand the context of an individual's presentation.

For this reason, FDA encourages you, the open public hearing speaker, at the beginning of your written or oral statements, to advise the committee of any financial relationship that you may have with any industry group, its products, and if known, its direct competitors.

For example, this financial information may include the industry's payment of your travel, lodging, or other expenses in connection with your attendance at this meeting.

1 Likewise, FDA encourages you, at the
2 beginning of your statement, to advise the
3 committee if you do not have any financial
4 relationships. If you choose not to address the
5 issue of financial relationships at the beginning
6 of your statement, it will not preclude you from
7 speaking.

8 The FDA and this committee place great
9 importance in the open public hearing process. The
10 insights and comments provided can help the agency
11 and this committee in their consideration of the
12 issues before them.

13 That said, in many instances and for many
14 topics, there will be a variety of opinions. One
15 of our goals today is for this open public hearing
16 to be conducted in a fair and open way, where every
17 participant is listened to carefully and treated
18 with dignity, courtesy, and respect. Therefore,
19 please speak only when recognized by the
20 chairperson. Thank you for your cooperation.

21 Will speaker 1 step up to the podium and
22 introduce yourself? Please state your name and any

1 organization that you are representing for the
2 record.

3 DR. ZUCKER: My name is Lloyd Zucker. I am
4 a neurosurgeon. I am a surgical consultant for
5 NXDC, not paid as a surgical consultant. And I am
6 chief of neurosurgery at Delray Medical Center,
7 Delray Beach, Florida. I also am one of the
8 surgeons trained by Dr. Hadjipanayis in the use of
9 5-ALA.

10 Thank you to the committee for allowing me
11 to speak today. I'm coming to speak to you as the
12 chief of neurosurgery from a 500-bed community
13 hospital located in Florida. My practice covers
14 the full breadth of neurosurgery, both cranial and
15 spinal.

16 Over the past 30 or so years, I've had the
17 privilege of caring for many patients with
18 malignant gliomas. Unfortunately, this also
19 translates into the fact that I've seen the passage
20 of many patients that have malignant gliomas.

21 Over the 30 years, there have been many
22 different treatment paradigms that have been

1 introduced. There have been methods to increase
2 the accuracy of our resection. You have heard
3 about some of them today, the stereotactic surgery,
4 which certainly has changed the breadth of what we
5 can do. But as you have heard, once the skull, the
6 calvarium, is opened, the accuracy certainly does
7 drop off. In fact, as I teach residents,
8 over-dependence on what are basically virtual
9 realities and not real-time realities can be
10 deleterious to the patient.

11 There are other surgical adjuncts that
12 you've heard about. Intraoperative MRI is
13 certainly one of them. The expense associated with
14 intraoperative MRI has meant that many centers do
15 not have access to it. I'm fortunate. I do have
16 access to it.

17 However, I will tell you that, even with
18 access to an intraoperative MRI, it is cumbersome,
19 it breaks down workflow, and has not proven to be a
20 real-time benefit to surgery for gliomas.

21 The surgical judgment of the surgeon is
22 paramount. The ability to discriminate tumor

1 tissue from normal brain tissue certainly is
2 something that you've heard about many times
3 already today. However, it would be dishonest for
4 me to say to you that there were not times that I
5 thought I was done only to find on a post-operative
6 MRI that there was more that I could do.

7 The goal of doing what you've heard, a gross
8 total resection or a maximal safe resection, is
9 elusive. The ability to do it in areas of the
10 brain, where I think you've heard, it's easier to
11 resect more such as the right frontal area is
12 certainly possible. But as you get to areas that
13 are more eloquent or areas that are deeper, if you
14 don't have a real-time way of assessing this, then
15 you're basically lost.

16 I've watched over the years the development
17 of fluorescent-guided technologies with my
18 colleagues over in Europe. And basically, I see
19 now that there is a scalable approachable way to
20 access lesions that I don't have at the present
21 point in time.

22 The other methods that are out there

1 unintentionally create barriers, so neurosurgeons,
2 and especially the community neurosurgeons, can't
3 provide the level of care to patients that they'd
4 like to be able to provide.

5 Fluorescent-guided surgery doesn't have
6 those barriers. Neurosurgeons, whether they are
7 academic or in community practice, can all access
8 the level of technology and provide the best of
9 care.

10 Basically, so I stay within my time limit, I
11 think that I'm looking at a moment where I can
12 substantially change how I practice and the care I
13 provide to patients that I never had the chance to
14 before. And the committee has a choice and a
15 chance to approve something that will assist all
16 neurosurgeons, and I thank you for your time.

17 DR. ROYAL: Will speaker number 2 step up to
18 the podium and introduce yourself? Please state
19 your name and any organization you are representing
20 for the record.

21 DR. MUSELLA: Hi. My name is Al Musella.
22 I'm the president of the Musella Foundation for

1 Brain Tumor Research and Information, Incorporated.
2 Our mission is to speed up the search for the cure
3 for brain tumors and help patients through the
4 journey.

5 We run the oldest and one of the largest
6 online communities for brain tumor patients and
7 their families. And we have funded over 95 brain
8 tumor research projects. We have given out over
9 \$3 million through our co-payment assistance
10 program to help get access to the treatments they
11 need.

12 I have been helping brain tumor patients
13 through their battles for 25 years, and I lost two
14 family members to glioblastomas. I have no
15 relevant financial or non-financial relationships
16 to disclose. I paid for my own travel and
17 accommodations to come here today.

18 I'm here today as a brain tumor advocate to
19 ask that you please approve 5-ALA for these people.
20 I understand that 5-ALA has been used by over
21 58,000 patients worldwide and is approved in 40
22 countries.

1 Think about that number, 58,000 times, a
2 neurosurgeon said they want to use 5-ALA on their
3 patient for the brain tumor operation. That's a
4 huge vote of confidence in the utility and
5 risk-benefit ratio of 5-ALA. That's something that
6 the American neurosurgeons can't do.

7 These neurosurgeons know that they have a
8 better chance at a gross total resection when
9 they're using 5-ALA. The importance of a gross
10 total resection is becoming much more important now
11 that we are close to getting a few of the vaccines
12 approved. In the brain tumor vaccine trials, early
13 results show a much better outcome for patients
14 with a gross total resection.

15 I am in contact with many brain tumor
16 patients every day. They are facing a horrendous
17 battle and need every bit of help possible. I
18 listened to the discussion this morning. If I had
19 to make the decision for myself or a family member,
20 I would definitely choose to use 5-ALA if possible.

21 When you're making your decision, think of
22 it the same way. This is not an academic exercise

1 to see how we can make the evidence as perfect as
2 possible. Lives are at stake. Base your decision
3 on if you or a family member needed to use this
4 drug, would you want to have it available, yes or
5 no? Thank you for allowing me to express my views
6 on the subject.

7 DR. ROYAL: Will speaker number 3 step up to
8 the podium and introduce yourself? Please state
9 your name and organization that you are
10 representing for the record.

11 DR. WIDHALM: Yes. My name is Georg
12 Widhalm. I'm a neurosurgeon, and I'm the chair of
13 Austrian neurosurgery tumor section. I'm working
14 at the medical university in Vienna, and I'm
15 currently doing a research project in San
16 Francisco. And I want to tell you shortly about my
17 experience with visualization of common brain
18 tumors with 5-ALA. So that's the university in
19 Vienna, and I have no financial relationship with
20 the company.

21 We've heard that different brain tumors can
22 be distinguished, and the most common primary brain

1 tumors are gliomas and meningiomas. At the Medical
2 University of Vienna, we've performed these
3 procedures since 2007. And therefore, we have
4 large experience with such procedures,
5 approximately 1 to 2 procedures per day.

6 So we have heard already in high-grade
7 gliomas, the drawback is an insufficient
8 interpretive visualization of tumor tissue, and
9 thus leading to an incomplete resection in up to 80
10 percent of cases.

11 That's in too big a case of a malignant
12 glioma resection. Also for an experienced
13 neurosurgeon, it is very difficult to localize the
14 tumor. If you switch to the fluorescence, you can
15 precisely localize this tumor and resect it.

16 In a recent study, it was shown that the
17 high positive predictive value of 5-ALA
18 fluorescence for detection of tumor tissue is
19 present in high-grade gliomas. This is a typical
20 image after assumed complete resection. The
21 neurosurgeon thinks the tumor is resected, and if
22 you switch to the fluorescence slide, you see this

1 typically fluorescent what I really very often
2 observe in such resections, so it's a big help for
3 us.

4 What about low-grade gliomas? They are
5 characterized by intratumoral heterogeneity. So
6 the surgical drawback is an insufficient
7 interpretive identification of potential areas with
8 focal malignant transformation. Thus, it might
9 lead to histopathologically under-grading and thus
10 incorrect diagnosis and treatment failure.

11 Therefore, a sampling from the metabolic PET
12 hotspot is recommended. However, small hotspots
13 cannot be found because of the brain shift. So we
14 thought also to administer 5-ALA in suspected low-
15 grade gliomas and found in such low-grade gliomas
16 or suspected low-grade gliomas that a focal
17 fluorescence correlates with malignant histology,
18 areas of metabolic activity, increased
19 proliferation rate, and also the criteria of
20 anaplasia.

21 That's a typical case of suspected low-grade
22 glioma. What we did with 5-ALA and outside the PET

1 hotspot, we found no fluorescence and only low-
2 grade tumor tissue. And inside the PET hotspot, we
3 found a really bright fluorescence. And this was
4 already malignant tissue with a high proliferation
5 rate. And only because of this fluorescence
6 sample, the tumor was created as an anaplastic
7 glioma and received the required therapy. So it
8 was really very helpful in this case.

9 I also want to come to meningiomas. We
10 found in a large study that also visible
11 fluorescence is present in over 90 percent of
12 cases, so it's also a market for interpretive
13 visualization of meningioma tissue. We also can
14 identify bone infiltration and also satellite
15 lesions that are near the tumor and can lead to
16 local recurrence.

17 So to conclude, in high-grade gliomas, 5-ALA
18 fluorescence is able to visualize tumor tissue with
19 a very high positive predictive value to maximize
20 the tumor resection. In suspected low-grade
21 gliomas, 5-ALA is able to detect intratumoral areas
22 with malignant transformation to enable a precise

1 diagnosis and adequate therapy.

2 In meningiomas, the 5-ALA fluorescence is
3 able to visualize residual meningioma tissue to
4 reduce the risk of local recurrence. Thank you.

5 DR. ROYAL: Will speaker number 4 step up to
6 the podium and introduce yourself? Please state
7 your name and any organization you are representing
8 for the record.

9 MS. KEENAN GILIBERTO: My name is Jennifer
10 Keenan Giliberto of Atlanta, Georgia, and I have no
11 financial ties to the applicant. I stand before
12 you as a brain cancer patient with countless
13 patients and families looking for hope.

14 Aside from death and taxes, there are few
15 guarantees in life. Each of us makes decisions
16 weighted by risk and reward, and some of us
17 approach it with a measured conservative tact,
18 while others simply take a leap of faith, jump
19 first, and ask questions later. As homage to YOLO,
20 you only live once, yet we take and accept that
21 life is riddled with risk and we collectively
22 relish, publicly or privately, in what taking a

1 chance means and how each of our lives can be
2 impacted.

3 Ten years ago, at 32, I left a
4 neurosurgeon's office and privately resolved
5 against the grain of grim statistics that I would
6 live to turn 40. Nine years ago, I consented to
7 have a portion of my scalp shaved, skull cut open,
8 and a portion of my brain removed with my brain
9 tumor.

10 In the weeks, months and years that
11 followed, I was encouraged to trust in the process,
12 live life, and embrace the reality that while
13 statistics exist, they cannot define you.

14 Our lives have been impacted immeasurably,
15 and we have struggled and grown as families and
16 individuals. We've rode an emotional rollercoaster
17 with frustration at the lack of hope offered in
18 medical treatments and have resolved to steadfastly
19 make this journey matter on our own terms. We're
20 focused and grateful and have gained perspective.

21 At 42, I watch as the little boy who began
22 kindergarten the week prior to my craniotomy

1 prepares to begin high school and stands before you
2 today. We have welcomed a third child, and we have
3 chosen to embrace courage, perspective, and hope
4 rather than fear.

5 Yet, we await medical advancements and we
6 still live our lives in segmented 12-week periods
7 of time between my MRIs and oncology appointments.
8 The process of being a patient, living in a
9 compartmentalized life in between scans and the
10 in-your-face reality of the toll it takes is
11 difficult.

12 I refuse to accept that I simply have an
13 orphan cancer and my treatment plan has remained
14 unchanged for decades. I refuse to accept that
15 life expectancy for brain cancer patients is
16 measured in months, and I'm simply a statistical
17 outlier. I refuse to accept that surgical margins
18 are determined by estimates when surgical precision
19 is available with 5-ALA.

20 Your affirmative recommendation of 5-ALA
21 would provide me and every patient in our families
22 a meaningful, precise visual surgical tool that

1 would give us hope. There is yet to be a surgical
2 advancement as significant as 5-ALA that would move
3 the ball forward at the surgical outset, and impact
4 patient outcomes, and allow for a more targeted,
5 impactful, post-surgical treatment regimen.

6 This is an advancement that matters, gives
7 me hope, and makes living in the shadow of a
8 recurrence much more palatable. I respectfully ask
9 that you give us a chance and acknowledge that the
10 benefits of 5-ALA most certainly outweigh the
11 minimal risks.

12 As a documentary photographer, I worked for
13 20 months following a GBM patient from his tumor
14 resection in March 2015 to his death on November
15 6th of 2016. It's important to note that he did
16 not have a recurrence of his GBM. Rather, he was
17 diagnosed with a secondary cancer, leptomeningeal
18 carcinoma.

19 Josh was a participant in the clinical trial
20 for 5-ALA and I was present in the OR during his
21 surgery, where I witnessed how precise and clear
22 the tumor was visible and how abundantly important

1 the visual tool was to Dr. Hadjipanayis. To quote
2 Albert Einstein, "If you can't explain it simply,
3 you don't understand it well enough."

4 I'll now leave you with a brief slide show
5 from my documentary and images that transparently
6 represent the totality of the brain cancer
7 experience.

8 (Slideshow played.)

9 DR. KEENAN GILIBERTO: Thank you for your
10 time.

11 DR. ROYAL: Will speaker number 5 please
12 step up to the podium and please state your name
13 and organization that you represent?

14 MR. GILIBERTO: My name is Tucker Avery
15 Giliberto from Atlanta, Georgia, and I have no
16 financial ties to the applicant. I have a few
17 memories before brain cancer, fundraising,
18 advocacy, courage, and fear became woven into the
19 fabric of our lives.

20 My parents have been very open with my
21 siblings and me about what my mother's diagnosis
22 is. The magnitude of it will affect her life and

1 likelihood may end her life. That has not been
2 easy on me or my mother.

3 My mom has brain cancer. I know what it is
4 like for her to have MRIs every three months
5 because, as a family, we all share in the anxiety.
6 We talk about it, but it is hard. Our normal is
7 not most families' normal. It would devastate me,
8 my siblings, and my father if her brain tumor grew
9 back aggressively. I know you understand what that
10 would mean.

11 However, there is now 5-ALA, a valuable
12 visual surgical tool that could make the process of
13 getting rid of her brain tumor more precise. I ask
14 you to consider 5-ALA available to my mom, what
15 that would mean to me and other family members of a
16 brain cancer patient.

17 There is little about a cancer diagnosis
18 that leaves a patient or family feeling they have
19 control. I believe that 5-ALA would provide my mom
20 and our family a level of control. Rather than
21 hoping a surgeon estimates margins correctly, we
22 could have the confidence that an entire malignant

1 tumor was visible. Simply having the knowledge of
2 such a precise surgical tool would ease the stress
3 and enable a more effective and targeted treatment
4 plan.

5 I would do anything to help my mom, and I
6 know you would do the same for your own mother. It
7 has been very hard to have mom with a brain tumor.
8 As much as her life has been immeasurably changed,
9 so has mine. I wish her cancer had never happened
10 in the first place. However, you have the ability
11 to advance the ball and give my mom and other
12 patients a better chance to live, thrive, and
13 survive with 5-ALA.

14 I often hear my mom referred to as humble,
15 brave, inspiring, and fearless, and she is all
16 those things and more. But to me, she is my mom.
17 So I stand here today at 14 and ask you to think
18 about if your mom had brain cancer and consider how
19 5-ALA could alter the course of her treatment and
20 benefit the quality of her life. Would you not
21 want that for her? Thank you.

22 DR. ROYAL: Will speaker number 6 step up to

1 the podium and introduce yourself? Please state
2 your name and any organization that you are
3 representing for the record.

4 DR. KALKANIS: Those are some very tough
5 acts to follow.

6 Good afternoon, everyone. It's an honor to
7 be here with you. My name is Steve Kalkanis. I'm
8 the chair of neurosurgery at Henry Ford in Detroit,
9 where I also direct our cancer institute, and I'm
10 chair of the section on tumors for the American
11 Association of Neurological Surgeons and the
12 Congress of Neurological Surgeons. I have no
13 financial ties to the sponsor.

14 I'm here today to tell you about my personal
15 experience with 5-ALA, using it in the operating
16 room. At my institution, we have every imaginable
17 surgical innovation available to us. And even with
18 that, 5-ALA stands above, way above, all of the
19 rest in terms of providing me and my co-surgeons
20 with a real-time tool to make a difference for
21 resection, and we believe for life expectancy for
22 our patients.

1 This is a case that I feel is indicative of
2 what a broad indication and approval for 5-ALA
3 would bring. This is a patient who developed
4 actually a low-grade glioma in 2008. There was a
5 recurrence. We weren't sure what it was. We
6 assumed it may be high grade. In fact, it was. On
7 biopsy, it had an extremely high malignancy index.

8 We took the patient to the operating room,
9 and using our surgical armamentarium completed the
10 resection. We thought we were finished. This is
11 one of the first cases we used 5-ALA on, and I'll
12 show you a video now of what it looked like.

13 This was when we were done with the
14 resection. We switched to the blue light. We
15 examined the depths of the tumor resection cavity.
16 And to our surprise, we found immediately this pink
17 fluorescence that was coming through the bottom of
18 the resection. This is tumor that would have been
19 left behind. Again, we thought we were finished.

20 We then resected it and got a scan that
21 looked like this, removing essentially almost
22 100 percent of the contrast-enhancing tumor, and

1 based on all available evidence known to the glioma
2 literature, significantly impacting this patient's
3 survival.

4 Here's another video of a case in which we
5 actually used our intraoperative MRI. The
6 intraoperative MRI suggested we had gotten all of
7 the tumor out, but when we used the 5-ALA, you see
8 here all of the fluorescence that is poking up at
9 the margins that could not even be detected by the
10 intraoperative MRI.

11 This was very significant to us because we
12 typically rely on the intraoperative MRI. This is
13 a very expensive tool that is only available at a
14 few centers in major academic centers around the
15 country. But this real-time agent allowed us, as
16 we were operating on the patient, to understand
17 that, in fact, there were infiltrating cells left
18 behind.

19 Again, I can't emphasize enough as a surgeon
20 what it means to be able to visualize these
21 invading cells when you think you've done your
22 absolute best for the patient, knowing that there's

1 an additional tool that we could have in our
2 armamentarium to make the resection more complete.

3 I should add that anyone who's familiar with
4 the glioma problem understands that you're always
5 going to get lingering, invasive, infiltrative
6 cells, even on the other side of the brain
7 sometimes. So it's not clinically relevant for us
8 if some of those histologically positive cells
9 don't fluoresce.

10 What's relevant for us is that the cells
11 that do fluoresce act as a road map to allow for
12 safer resection. We're not going to simply follow
13 the fluorescence if it's not in a safe part of the
14 brain. The surgeon, at the end of the day, makes
15 that final determination based on his or her
16 experience, and the mapping tools, and the
17 functional navigation that we have. But if we had
18 a tool to understand that there's a few cells left
19 over and we knew exactly where they were, we really
20 feel we could make a significant difference for
21 these patients.

22 In summary, we use an intraoperative MRI all

1 the time, but 5-ALA is real time, and it certainly
2 would be much more widely available and accessible
3 to surgeons and patients across the country. The
4 feedback that it provides is based on actual tumor
5 physiology, and the tumor differentiation is made
6 significantly easier.

7 It's been very well tolerated by all of the
8 subjects that have undergone this testing at our
9 institution, and we feel that it significantly adds
10 in the treatment of this disease.

11 I would add that as the president of the
12 Neurosurgical Oncology Association, the tumor
13 section with over 2,000 members around the world,
14 we constantly address the need for clinical trials
15 to improve the outcomes for brain tumors. All of
16 the members of our executive team on this section
17 on tumors strongly support this initiative and this
18 process. And I thank you for your time today.

19 DR. ROYAL: Will speaker number 7 step up to
20 the podium and introduce yourself? Please state
21 your name and any organization you are representing
22 for the record.

1 MS. SHAFFER: Yes. Good afternoon. My name
2 is Geri-Dee Shaffer. I am involved with the
3 Southeastern Brain Tumor Foundation. It is a
4 501(c)(3) not-for-profit organization and a public
5 charity. We're located down in the Atlanta,
6 Georgia area. I have no financial ties to the
7 applicant who is here today, at today's meeting.
8 Sorry.

9 I actually am here today to speak on behalf
10 of numerous people who I serve, and people who have
11 impacted my life, and people who continue to impact
12 my life on a daily basis.

13 Since 2012, I have served at the pleasure of
14 the board of directors for the Southeastern Brain
15 Tumor Foundation. My current role at the SBTF is
16 in the capacity of executive director.

17 As I stand before you today, I am not just
18 here as a brain tumor advocate. I'm here as a
19 voice for the glioma patient, for those who are
20 living with the disease and for those who have
21 departed this world as a result of the disease.

22 For 31 years of my life, I worked for a

1 medical device company, and I retired back in 2011.
2 During my career in med device, I witnessed the
3 development and the FDA approval of new medical
4 devices. Some of the devices were specifically
5 used in brain surgery.

6 What I didn't fully realize during those 31
7 years in med device was the hope which these FDA
8 approvals brought and provided to patients. I've
9 been with the foundation here, the Southeastern
10 Brain Tumor Foundation, for four and a half years
11 now, and my eyes have been opened to the
12 significance of the medical advances that are being
13 made. But they've also been opened to the need to
14 expedite the approval of these medical
15 advancements. And I've also had my eyes open to
16 hope, which comes with the words "FDA approved."

17 In addition to heightened realization of the
18 impact associated with the words "FDA approval,"
19 I've also experienced great sadness in the last
20 four and a half years, in particular the death of
21 11 people in an 11-month period of time, and all of
22 these people were diagnosed with a glioblastoma. A

1 piece of me actually has been taken away with each
2 of them, so I'm very passionate about what we're
3 talking about here today.

4 Through my work at the foundation, our brain
5 tumor support group patients have shared stories.
6 Some of them have traveled throughout the U.S. in
7 search of better surgical options. Some have
8 actually traveled internationally and obtained
9 surgical options.

10 I've also heard from our patients and our
11 constituents about confusion to understand why
12 certain surgical techniques and technologies are
13 available abroad but are not available here in the
14 United States of America, where we are the most
15 powerful and advanced nation in the world.

16 I've also listened to stories about initial
17 surgery, which didn't completely excise a tumor.
18 People tell me they're still living with this piece
19 of whatever. I've also heard about complications
20 of surgery which led to neurological deficits.
21 I've heard about frustrations at local-area
22 hospitals that lacked high-tech tools like

1 intraoperative MRIs and patients had to be sent
2 somewhere else.

3 In my opinion, 5-ALA can provide hope for
4 brain-tumor patients with resection of more tissue
5 with the potential of increased survival rates, and
6 these accomplishments can be achieved without the
7 high-tech tools like intraoperative MRIs.

8 The imaging agent 5-ALA provides real-time
9 detection and full visualization of malignant
10 tissue during glioma surgery. It represents a
11 technological advancement, something which I have
12 not heard about or seen in a long time. We're
13 hoping for a win here, a win like a post-op
14 conversation with a neurosurgeon that says
15 something like, "We excised the entire tumor,"
16 something like, "There were no complications," and
17 something like, "We don't think secondary surgery
18 will be needed."

19 It's my personal hope that the decisions of
20 the committee will provide brain-tumor patients the
21 possibility of better surgical outcomes. It's my
22 personal hope that the decisions of this committee

1 will provide brain-tumor patients a financial
2 reprieve by an approval which results in insurance
3 coverage for surgical procedures. And it's my
4 personal hope that the decisions of the committee
5 will not deny these brain-tumor patients the
6 possibility of extending their life expectancy.

7 In my opinion, 5-ALA represents forward
8 progress, which our brain-tumor constituents dream
9 about. Thank you for your time. Thank you for
10 allowing me to share this opinion.

11 DR. ROYAL: The open public hearing portion
12 of this meeting has now concluded, and we will no
13 longer take comments from the audience. Before we
14 move on to the next part of the meeting, the
15 sponsor had a slide that they wanted to show us. I
16 believe this is the slide that did not project
17 properly the first time.

18 DR. STUMMER: Slide up, please. So again, I
19 apologize for the technical problems with this
20 slide. We were talking about the issue of
21 completeness of tumor resection as related to
22 event-free survival and the course of the NIH after

1 surgery.

2 So this is what this slide actually
3 summarizes. Patients are stratified. These are
4 the complete patients from study 3. We stratified
5 according to extent of resection, and what you can
6 see here is event-free survival where an event is
7 deterioration of the NIH Stroke Score in the face
8 of stable or increased steroids.

9 As you can see, the patients that have had
10 complete resections, where we might intuitively be
11 worried about a negative impact on neurological
12 function, they actually did better and remained
13 more stable over time. That was the point I wanted
14 to make with this slide. Thank you for your
15 understanding.

16 DR. HADJIPANAYIS: Thank you, Dr. Stummer,
17 for clarification of PFS. Committee members and
18 FDA members, 5-ALA does provide real-time
19 visualization of tumor tissue that delineates
20 malignant tumor tissue.

21 It's unquestionable the amount of tumor
22 tissue that we visualize in addition to white

1 light. This is a tool that's additive to our
2 current armamentarium as neurosurgeons. Not only
3 will it help us neurosurgeons resect more tumor
4 tissue, but it will help our patients with better
5 patient benefit, as you heard in the randomized
6 phase 3 study doubling of the extent of resection,
7 and also fewer repeat surgeries.

8 This is a universally fatal disease. We
9 need all the help we can get here, and I think
10 we've heard from our patients and family members of
11 the importance of this today.

12 **Questions to the Committee and Discussion**

13 DR. ROYAL: The committee will now turn its
14 attention to address the task at hand, the careful
15 consideration of the data before the committee as
16 well as the public comments.

17 We will now proceed with the questions to
18 the committee and panel discussions. We would like
19 to remind public observers that while this meeting
20 is open for public observation, public attendees
21 may not participate except at the specific request
22 of the panel.

1 So the questions that we've been asked to
2 discuss, I'm going to read. Discuss the efficacy
3 outcomes used in this drug development program and
4 their acceptability for substantiating the proposed
5 claim. In your discussion, please consider each of
6 the following points.

7 The applicant presented data demonstrating
8 the intraoperative visualization of malignant
9 tissue with calculation of the percentage of
10 visualized tissue fluorescence, verified by
11 histopathology, the positive predictive value or
12 PPV.

13 Please discuss the clinical significance of
14 the provided PPV measurement of malignant tissue
15 visualization with the use of 5-ALA and whether the
16 provided data on malignant tissue visualization are
17 sufficient for establishing the efficacy of 5-ALA.

18 If committee members would like to speak, if
19 you turn up your name card, that would be helpful,
20 thank you. Dr. Hackney?

21 DR. HACKNEY: So I think the positive
22 predictive value is useful in that it would suggest

1 the surgeon is not going to end up resecting normal
2 tissue or tissue that's not densely infiltrated by
3 tumor by using the guidance of 5-ALA, and that's
4 what that metric can tell us usefully in this
5 context and as they proposed to use it. Targeting
6 those areas that are fluorescent typically targets
7 those areas of high tumor density, and I think
8 that's an appropriate measure.

9 DR. ROYAL: Dr. Jacobs?

10 DR. JACOBS: I also think it's an
11 appropriate measure. I think that providing
12 information to a surgeon, who will then use that
13 information with their own clinical judgment and
14 their surgical judgment to decide whether or not to
15 resect any areas that they see of high
16 fluorescence, I believe that they will normally
17 proceed with their white-light resection first
18 because it's a lot easier to see anything in white
19 light, and then move on to the fluorescence.

20 So I believe that this is additive, but that
21 the information itself may or may not change what
22 is done by the surgeon.

1 DR. ROYAL: Any other comments? So I'll
2 summarize what I've heard. Dr. Toledano?

3 DR. TOLEDANO: So this is Toledano. I was a
4 little slow to flip my card. I agree that PPV is a
5 useful measure. I agree with Dr. Jacobs that the
6 surgeon then takes the action appropriate in the
7 context of what's happening in the brain. But we
8 can't just rely on the PPV, so I would like people
9 also to bear in mind the negative predictive values
10 or what happens with things that don't fluoresce.

11 DR. ROYAL: Dr. Roberts?

12 DR. ROBERTS: I agree again with the other
13 speakers that PPV is an important predictive value,
14 but I think other things are important, too, such
15 as looking at the tissue that fluoresces, but is
16 actually negative for tumor.

17 Those numbers are low here, but I think that
18 it's important to take into account that we're not
19 resecting normal tissue inadvertently. And I think
20 another thing that's important to look at this was
21 the extent of resection and the correlation with
22 the extent of resection afterwards.

1 DR. ROYAL: Dr. Herscovitch?

2 DR. HERSCOVITCH: I agree with what the
3 other folks have said about the very good positive
4 predictive value, and it does what it says, and it
5 does point out areas of tumor that would not be
6 visualized by white light.

7 The negative predictive value was not very
8 good, not being able to visualize more of the
9 tumors, so the drug isn't perhaps doing as well as
10 one might have hoped. But still, the glass is
11 half-full.

12 But I think it would be important for
13 neurosurgeons as part of the training process to
14 really have an understanding of the fact that there
15 are going to be areas with tumor that don't
16 fluoresce.

17 Of course, the whole thing has to be
18 assessed under the umbrella of no matter how good
19 or careful the surgeon is and the fact that the MRI
20 contrast post-op can very well be negative, of
21 course, just by the nature of the disease, as we've
22 all heard, there still will be infiltrating tumor

1 somewhere at the margin. So we can't expect
2 perfection, but at least some advancement towards
3 improvement.

4 DR. ROYAL: Dr. Byrne?

5 DR. BYRNE: I agree that the positive
6 predictive value is an appropriate measure, and I
7 would particularly point out the actionable portion
8 of the fluorescence, the strongest portion of the
9 fluorescence, will be the most actionable part of
10 the operation for any surgeon, and that correlates
11 very strongly with dense cellularity of tumor. And
12 the weaker portions of fluorescence, as we saw
13 examples here, are going to be a judgment call of
14 the surgeon based on safety.

15 DR. ROYAL: Dr. Zamorano?

16 DR. ZAMORANO: Yes. Basically, I agree with
17 everything that has been commented. In terms of
18 opinion, I think the data, we have demonstrated a
19 usefulness of this 5-ALA as an adjuvant to the
20 interpretive visualization of malignant tissue in
21 brain surgeries.

22 Any approval would have to be with a lot of

1 concern with what we have discussed in terms of the
2 false negative and false positive and certainly is
3 something that cannot be used as the only way to
4 interpretive visualize or plot a surgery.

5 So in terms of the objective, of the support
6 to add something to our armamentarium as a
7 neurosurgeon, I am very positive about that, but at
8 the same time, we need to be careful that this can
9 give a false impression of what really we can
10 achieve here.

11 So as an adjuvant for interpretive
12 visualization, to be used with all of our other
13 tools, I think it could have a very positive part
14 in the armamentarium. Also considering that most
15 places do not have actually interpretive MRI, this
16 could be a very important adjuvant to the surgery
17 than with a pre-operative-acquired MRI -- that most
18 neurosurgeons perform this surgery nowadays. So
19 this would be additional information that could be
20 very useful.

21 DR. ROYAL: Dr. Frank?

22 DR. FRANK: I think taking together the

1 clinical evidence showing the beneficial effect of
2 the larger extent of resection, taking that
3 together with the intraoperative MRI data on the
4 rare occasions when it's available, showing that
5 50 percent of the time, the patient has to go back
6 for additional resection, creates a clinical
7 imperative for something like this, and PPV is the
8 appropriate parameter. I think NPV is confounded
9 by the infiltrative nature of the disease.

10 DR. ROYAL: If there are no other comments,
11 it sounds like there's fairly good agreement among
12 the committee that the PPV measurement is a useful
13 measure to establish the efficacy of 5-ALA.
14 There's some concern about the false negatives, but
15 again, when we're dealing with an infiltrative
16 process, that's going to be expected.

17 If we can move on to part B, please discuss
18 the potential clinical importance of finding non-
19 fluorescent tissue samples being also positive for
20 malignancy in histopathology. So we've discussed
21 this a little bit in terms of the false negatives.

22 Anyone want to make any additional comments

1 about the false negative results? Dr. Gilbert?

2 DR. GILBERT: So I think this gets back to
3 the question of the 5-ALA clearly increasing the
4 likelihood of what we would define as a complete
5 resection, recognizing that it's an imaging
6 definition and may speak to the fact that the 5-ALA
7 will be best, or delivered best to the area of the
8 tumor where the blood-brain barrier has been
9 impaired, which would be the same area that
10 receives the contrast.

11 So you are in fact getting a visualization
12 of the area that was contrast enhancing; hence, I
13 think the close correlation. So in that context, I
14 think it does what it has set out to do, which is
15 identify that area.

16 I think from a surgical resection
17 standpoint, that's typically the area that is
18 safest to resect. So it does not effectively
19 unfortunately address the area of tumor that is in
20 the area where we don't recognize it as anything
21 other than by imaging the T-2 FLAIR abnormality
22 most commonly.

1 If it picked up that area in high
2 concentration, I think the extent of resection from
3 a biologic standpoint would be higher. But for
4 what it has done, I think the PPV, as we talked
5 about, suggests that we're getting a high
6 concentration of cancer cells removed, that
7 there's, in some situations, as our neurosurgical
8 colleagues have shown us, incremental and
9 beneficial, but doesn't get us to the next level,
10 which would be the non-fluorescent tumor cells.

11 So that means that this is an adjunct, but
12 will not take us to the next level of tumor burden
13 reduction. And I think we need to recognize that
14 this happens to a degree.

15 The data that they showed us from the
16 combination of the studies, it's about 15 percent
17 of the time there is residual tumor that has a high
18 density, that for whatever reason has not reached
19 blood brain barrier adequately to get the 5-ALA in
20 concentrations high enough to be visualized. But
21 for the most part, the tumor that is left behind is
22 the infiltrative tumor that is intercalated amongst

1 normal brain and oftentimes wouldn't be resected
2 because of the concerns of neurologic injury.

3 DR. ROYAL: Any other comments about the
4 false-negative rate?

5 (No response.)

6 DR. ROYAL: So again, to summarize what I
7 heard, we know that we're going to leave behind
8 tumor. This agent would allow you to remove more
9 tumor, even though there's still going to be tumor
10 left behind.

11 If we can move on to C, one of the efficacy
12 outcomes used by the applicant is an improved
13 completeness of resection, defined on the post-
14 operative MRI enhancement.

15 Please discuss the clinical importance of
16 complete resection in the setting of glioma surgery
17 and comment on the clinical meaningfulness of using
18 post-operative MRI to measure the completeness of
19 resection.

20 Dr. Gilbert?

21 DR. GILBERT: So I think this was one of the
22 critical questions. So complete resection, I think

1 it's appropriate to put into quotation marks.
2 Certainly, we've all heard about the challenge of
3 infiltrative disease, et cetera. But there is now
4 increasing evidence that tumors in which the
5 contrast-enhancing component has been completely
6 removed are less likely to have a phenomenon known
7 as pseudoprogression, where we get inflammatory
8 change after radiation and chemotherapy, which is
9 the standard treatment.

10 The importance of pseudoprogression is it's
11 so often mistaken for true progression, and where
12 the therapy is actually very effective is
13 misinterpreted as being ineffective and treatment
14 has changed inappropriately. So if you reduce the
15 likelihood of a misdiagnosis by having a complete
16 resection, that's a good thing.

17 The other area in which it is I think
18 increasingly important is as we venture in the
19 field, into the area of immunotherapy, when there
20 is residual-enhancing disease, those patients are
21 much more likely to have a substantive inflammatory
22 response, which is good, but it's often manifest as

1 a mass and a lot of brain edema. And again, you
2 wind up particularly with a clinically relevant
3 pseudoprogression, where there's neurologic
4 decline, often mandating a subsequent surgical
5 procedure.

6 So I think going in with what we would see
7 as a complete resection of enhancement, anything
8 that we can do to increase that safely reduces the
9 pseudoprogression from either chemoradiation or the
10 potential consequence of a positive immunologic
11 response.

12 DR. ROYAL: Dr. Byrne?

13 DR. BYRNE: I would agree with the last
14 comment and just add that all of the recent
15 volumetric studies done on this, understanding that
16 they're retrospective in nature, all come down on
17 the side that a complete resection does improve
18 length of survival.

19 I'll also point out that we're not likely to
20 see a randomized controlled trial on this going
21 forward. Surgeons and clinicians don't feel that
22 there's equipoise to randomize at this point.

1 DR. ROYAL: Other comments. Dr. Toledano?

2 DR. TOLEDANO: Thank you. It's Toledano.

3 So I think we have to go with our gut in many ways
4 on this one and go with what the surgeons are
5 learning from their experience in these procedures.

6 It's very difficult to sort out how to
7 interpret progression-free survival because it's
8 confounded with all of the interventions that
9 happen after surgery. So it's even hard to figure
10 out what you would do if you knew this thing, and
11 that thing, and the other thing, all of the things
12 that can happen between the surgery and the
13 prolonged survival. We're going to go for
14 prolonged survival.

15 There are two subbullets, little 1 and
16 little 2. 1 is the prescribing information. I
17 don't think the applicant is trying to make a claim
18 about these endpoints, so I don't know if that
19 needs to go in. I'm ahead of you?

20 DR. SHEPHERD: Yes.

21 DR. TOLEDANO: Oh, you're still doing that
22 one? Oh, goodness. I thought we finished that

1 one.

2 DR. ROYAL: Dr. Jacobs?

3 DR. JACOBS: For the comment here, on the
4 meaningful of using post-operative MRI to measure
5 completeness of resection, I will point back to
6 what Dr. Gilbert said on point B, which is that
7 it's a little bit of a circular argument because we
8 defined the tumor initially by it having
9 enhancement, meaning you were only looking at areas
10 of reduced blood brain barrier. And then we later
11 defined the complete resection by the same thing,
12 which means that if there are areas that do not
13 have such defective blood brain barrier -- and
14 there may be in much of this infiltrative
15 disease -- we wouldn't see that in any case.

16 So I'm not sure how relevant that is,
17 although I understand it's what's used clinically
18 because I think it's the only measure we have. But
19 I think people should be careful not to decide its
20 truth.

21 DR. ROYAL: Any other comments? Both of
22 your name cards are up. I don't know if you have

1 another comment. Yes. Go ahead.

2 DR. ZAMORANO: I have a couple. Yes. My
3 comment would be with respect to this point, that I
4 think the studies that have been presented to us,
5 we can say that there is an improvement in the
6 amount of resection, tumor resection. I don't
7 think that we can say that this is completeness of
8 resection. Number one, we have the issue of the
9 false positive. We have the issue of the false
10 negative.

11 So to me, it would be a better assessment to
12 state that this improved the amount of tumor volume
13 resection, very important for all the therapies,
14 any therapy. Obviously, this is not a therapeutic
15 agent, but any therapy in brain tumors is dependent
16 of the amount of tumor volume that is left after
17 resection.

18 The other point that I mentioned prior that
19 may be important is most surgery is done for
20 malignant gliomas, not with an intraoperative MRI.
21 Even with an intraoperative MRI, you have the
22 problem of the brain shifting. So the use of

1 substance or some adjuvant to surgery helps us to
2 increase the amount of the tumor volume is also an
3 important factor for neurosurgeons.

4 DR. ROYAL: Dr. Herscovitch?

5 DR. HERSCOVITCH: So I did really note the
6 large increase and completeness of resection,
7 36 percent to 65 percent with the use of the drug.
8 And although statistically, it was pointed out that
9 there was some concern that there was no direct
10 link between the PPV and completeness of resection,
11 that study 3 was still a double-blind, randomized
12 study, and using the drug by whatever means lead to
13 that substantial improvement in completeness of
14 resection.

15 With regard to the clinical meaningfulness
16 of the post-operative MRI, well, that's basically
17 what the field has. And we're not really here to
18 discuss the limitations of post-operative MRI and
19 not showing infiltration, but the studies that have
20 used completeness of resection have shown that,
21 when that occurs or very high volumetric resection,
22 then outcomes are better.

1 The general concept expressed early in the
2 FDA briefing document, that medical imaging
3 technique by itself almost never makes the patient
4 better, but a medical imaging technique could lead,
5 by its results, to actions. And those actions
6 secondarily lead to improved outcomes.

7 So I think this does show that it leads to
8 completeness of resection improvement and
9 volumetric resection improvement. And even with
10 the limitations of MRI, when you do have MRI
11 "completeness of resection," all those studies,
12 even though admittedly not themselves double-blind
13 randomized, et cetera, have the preponderance of
14 evidence that shows completeness of resection does
15 lead to better outcomes.

16 So that's how I comment on both those
17 points.

18 DR. ROYAL: I don't see any other comments,
19 so I will just summarize what I've heard. We have
20 imperfect tools to determine the completeness of
21 resection. As a matter of fact, we know that the
22 resections are not complete. However, using these

1 imperfect tools, prognosis is better the more
2 complete the resection is.

3 The other point that I think Dr. Gilbert
4 brought up, which I thought was interesting, was
5 leaving tumor behind, leaving gross tumor behind,
6 complicates following the patient because you are
7 more likely to see pseudoprogression. So the more
8 complete the resection, not only is the prognosis
9 better, but it helps to follow the patient
10 subsequently.

11 So we're on D. In assessing the totality of
12 evidence of the potential benefit of 5-ALA, please
13 comment on the clinical significance, if any, of
14 the observed improvement in progression-free
15 survival and of the lack of improvement in overall
16 survival.

17 In your discussion, please comment on the
18 following, whether either should be mentioned in
19 the prescribing information if 5-ALA is approved
20 for marketing in the U.S. And the second part is
21 how the outcome of progression-free survival could
22 relate to potential assessment of patient-reported

1 outcomes, and what type of patient-reported
2 outcomes would be relevant in this setting. Dr.
3 Gilbert?

4 DR. GILBERT: So first, the progression-free
5 survival was different. I'm always leery, as has
6 been mentioned, about the determination of
7 progression-free survival and what its true
8 clinical relevance is.

9 I think when it has been informative, it's
10 been in the context of patient-reported outcomes
11 measures, so I'm actually responding to both
12 simultaneously.

13 I don't think that the Karnofsky Performance
14 Score, which is commonly used in neurooncology, is
15 a very effective tool. It's quite insensitive to
16 change. As a matter of fact, it is completely
17 insensitive to things like aphasia, so patients who
18 can't speak can still have a Karnofsky of 90. They
19 can do everything except work, and they are
20 actually symptomatically devastated.

21 So we use it. It's convenient. It's
22 certainly widely used. So everybody knows it, but

1 in the context of understanding the significance of
2 prolongation of progression-free survival, it is, I
3 think in my view, inadequate.

4 So we don't have very good comprehensive
5 functional measures, but what we have successfully
6 used are measures of neurocognitive function and
7 measures of symptom burden. And those looked at
8 longitudinally would put I think a better
9 understanding of what progression-free survival
10 would mean in this context.

11 So my recommendation would be that what we
12 have heard is that this agent helps the
13 neurosurgeons do a more extensive resection and
14 that it also substantially increases the likelihood
15 that all the contrast-enhancing material on imaging
16 will be removed. And that is quite an
17 accomplishment, and I think the outcomes results, I
18 would have to be a little circumspect about.

19 DR. ROYAL: So specifically answering this
20 question, whether either should be mentioned in the
21 prescribing information, you're saying that you're
22 not in favor of mentioning any effect on

1 progression-free survival or overall survival?

2 DR. GILBERT: That is correct

3 DR. ROYAL: Other comments? Dr. Jacobs?

4 DR. JACOBS: I'm in agreement with that for
5 the same reasons. I think in terms of progression-
6 free survival, what may matter to the patient more
7 than the complete resection is what it does. More
8 complete resection may in fact lead to poorer
9 patient-reported outcomes, depending on what you're
10 resecting.

11 So I think that's a very separate thing from
12 what we've done here. And I don't know the
13 particular reported outcome measures that people
14 use. I don't know what mechanisms there are. I
15 know that they exist. But that would be a separate
16 thing I think to explore, and in my mind does not
17 tie to this approval or not approval.

18 DR. MARZELLA: We would welcome comments on
19 that aspect because it's something that we should
20 be looking forward to in the future, to using more
21 frequently.

22 DR. ROYAL: Dr. Herscovitch?

1 DR. HERSCOVITCH: Just very briefly, I would
2 agree with the two previous speakers and just note
3 that when the FDA did their analysis, the
4 conclusion was that there was insufficient evidence
5 for indications of improved clinical outcomes,
6 which I think is important.

7 Also, with regard to the clinical outcomes,
8 you may have a better MR at 6 months, but I think
9 it's really important to consider this could be
10 done down the road, patient-centered clinical
11 outcomes that are meaningful to individual patients
12 because they're the ones ultimately who we're
13 trying to help.

14 DR. ROYAL: Dr. Toledano?

15 DR. TOLEDANO: Now, that little bullet point
16 2 at the bottom of section D, I agree with
17 everything everybody else said. With these
18 patient-reported outcomes, I think it is important
19 to get ones that are meaningful to the patients and
20 also ones that have a history, have known
21 psychometric properties, not just somebody making
22 something up or picking an arbitrary cut point to

1 say this is somebody who's doing well, this is
2 somebody who's not doing well.

3 I'm so happy that FDA is interested in the
4 patient experience and in what we can do to improve
5 that, but we have to measure it with good tools.

6 DR. ROYAL: Dr. Ballard?

7 DR. BALLARD: [Inaudible - off mic].

8 DR. ROYAL: So does the committee have any
9 comments about these --

10 DR. BALLARD: Right. So in reviewing the
11 literature, there are basically two general
12 measures for cancer patients that you're probably
13 all familiar with, the EORTC Cancer Quality of Life
14 Questionnaires and then the Functional Assessment
15 of Cancer Therapy or the FACT questionnaires.

16 These include two sections, usually a
17 general measures outcome patient functioning and
18 then also disease specific. So you have the EORTC
19 BN-20 and the FACT-Brain, which are specific for
20 patients undergoing surgery for primary brain
21 cancers.

22 They've been validated for those measures.

1 They've also been used for patients undergoing
2 surgery for metastatic disease and other things,
3 but they're not validated for that.

4 Next slide. The other type of things that
5 might be something that would be worth discussing
6 are some of the neurocognitive function assessments
7 that are available. And this is just sort of a
8 list of some of the ones that are commonly used,
9 and it would be interesting to hear if anybody has
10 any comments on the validity or whether these would
11 be of value.

12 DR. ROYAL: Dr. Gilbert?

13 DR. GILBERT: So we have actually done a lot
14 of work with outcomes measures and completed a
15 large international randomized trial in newly-
16 diagnosed glioblastoma. And it was placebo-
17 controlled, and the experimental agent was
18 bevacizumab, the anti-angiogenic agent.

19 Incorporated into this was longitudinal
20 assessment of quality of life using the EORTC
21 instrument with the BN-20, a symptom-burden
22 instrument, which has been validated in the brain

1 tumor patient population -- it's the MD Anderson
2 Symptom Inventory, the MDASI -- but the brain tumor
3 module and also a neurocognitive battery, which
4 used three of those neurocognitive assessments,
5 because, again, it's a cooperative group trial, and
6 that battery took about 20 minutes for an examiner.

7 What was interesting is, number one, it was
8 incredibly informative, and this has been published
9 in the New England Journal in 2014. Much to our
10 surprise, the patients who were on the
11 bevacizumab -- again, everybody was blinded -- had
12 a decrease in quality of life, increased symptom
13 burden, and worse neurocognitive function. So it
14 was very informative.

15 The other thing that was really informative
16 is that the quality-of-life instrument was the
17 least sensitive to change. And that's because a
18 lot of it is subject to patient interpretation of
19 their sense of well-being rather than objective
20 measures of what their symptoms are or certainly
21 the objective measures of neurocognitive function.

22 So we, at least in the work that I do at the

1 NCI, we're shifting away a bit from the
2 conventional health-related quality of life and
3 more into what we consider to be more quantitative
4 measures of symptom burden, certainly,
5 neurocognitive testing. And we're now working on
6 trying to come up with functional measures and are
7 just parenthetically taking advantage of some of
8 the advances.

9 The Fitbit technology can actually be
10 adapted, and you can get real-time measures of
11 patient function. So we're trying to do all of
12 that to try to come up with real measures that are
13 not subject to -- the shift in patients'
14 interpretation of their disease as it impacts
15 quality of life.

16 DR. ROYAL: Dr. Jacobs?

17 DR. JACOBS: I agree with Dr. Gilbert. I
18 think these are both important, particularly in the
19 sense of how the patient is actually doing. I
20 would comment that if the FDA does proceed with
21 approving this drug, I would probably not require
22 the company to do this as a condition, as a

1 postmarketing condition, but I would encourage them
2 to.

3 DR. ROYAL: Any other comments?
4 Dr. Toledano?

5 DR. TOLEDANO: So as a statistician, I love
6 data. I love it all to be objective. But as a
7 patient, I understand that different symptoms have
8 different burden for different people. There are
9 some things that -- if I had a cognitive decline, I
10 would not be able to deal with that. That's how I
11 make my living. If I couldn't make a three-point
12 jump shot in basketball, which I've never been able
13 to make in the first place, -- I'm not Michael
14 Jordan.

15 So the emotional impact of different
16 symptoms on different people, I think I'd like to
17 keep as part of the picture, not just set it aside.

18 DR. ROYAL: So to summarize, I think the
19 committee was in agreement that we shouldn't
20 mention progression-free survival and overall
21 survival in the prescribing information and was
22 also in agreement that some efforts should be made

1 to collect patient-reported outcomes, that the
2 company should be encouraged to do this, but not be
3 required to do this.

4 Question number 2, discuss the possible
5 risks associated with increased resection, that is,
6 the potential for increased neurologic deficit.
7 Please discuss any other safety concerns you might
8 have about this drug.

9 DR. BYRNE: I would say that there is
10 probably not much in the way of risk in removing
11 the bright red portion of the tumor, the core.
12 Going off into the pink area, where there may be
13 live neurologic tracts that are still working may
14 bring some risk.

15 That's the judgment part that you have heard
16 several times today. It's all about the judgment
17 of where are you going, what can do you do safely.
18 That's where you can add intraoperative monitoring,
19 awake surgery, cortical stimulation, mapping,
20 incorporate pre-operative imaging, et cetera.

21 So I think that there are potentially some
22 risks to going astray in some of the mild positive

1 areas, but I think just educating surgeons about
2 that -- they're used to that. That's the same
3 issue under white light. It's exactly the same
4 issue. This is just one more thing that you can
5 use.

6 But I'll also point out that there is
7 literature. Ivan Ciric and others have written
8 about the dangers of underoperating in high-grade
9 glioma. If you do a small subtotal resection,
10 you're much more likely to end up going back on
11 that person early because they've got some
12 bleeding, they've got some swelling now, and they
13 still have retained tumor, and you're going to end
14 up having to go back early.

15 DR. ROYAL: Dr. Hackney?

16 DR. HACKNEY: I would agree with everything
17 that was just said, particularly the point that the
18 issue of the risk of causing a deficit because of
19 resection is exactly the same thing that's what the
20 neurosurgeon thinks about before they go into the
21 OR and the entire time they're there.

22 This doesn't create any new risks. This

1 just gives them a little more guidance when they're
2 in there. So I think it's worth discussing, but I
3 don't think it increases the risk.

4 DR. ROYAL: Dr. Roberts?

5 DR. ROBERTS: This is kind of getting into
6 the next question, but I think, as far as this
7 risk, I think it's important not to use statements
8 such as, "This agent will delineate tumor from
9 normal brain," because I don't think it's a clear-
10 cut boundary between tumor and normal brain. It's
11 a mixture of both.

12 Just because there's tumor there doesn't
13 mean there's normal brain there, and we still have
14 to, as everyone else has mentioned, use all these
15 other things such as our determination about
16 functional areas. So I think it's important not to
17 have that statement.

18 DR. ROYAL: Dr. Gilbert?

19 DR. GILBERT: I would add to that, the
20 concern about the disparity between the resections
21 with the 5-ALA that occurred in non-eloquent versus
22 eloquent brain, and as has already been mentioned,

1 this gradation of red to pink sounds like -- and
2 again, we don't have that type of granular data,
3 but it sounds like in eloquent areas, that's
4 particularly risky and would hope that that would
5 be emphasized in the training because the rate of
6 neurologic harm was actually much higher in that
7 setting.

8 But again, it's a tool. And as our
9 neurosurgical colleagues have said, it's a tool to
10 be used in addition to other navigation devices so
11 that it's not a substitute. It's an additive. And
12 that would be the one concern, that if people
13 interpret this as, if it's red, it's okay, if it's
14 pink, it's probably okay, and we can take some
15 shortcuts and not do due diligence, that would be
16 the only concern.

17 Again, we can't mandate that, but certainly
18 our colleagues can very strongly encourage that the
19 appropriate same surgical principles apply.

20 DR. ROYAL: I don't see any other comments.
21 We'll move on to question 3.

22 Jennifer was reminding me we're going to

1 skip the afternoon break since this is the last
2 important question.

3 The question is, do you recommend the
4 approval of 5-ALA for the proposed indication as an
5 imaging agent to facilitate the real-time detection
6 and visualization of malignant tissue during glioma
7 surgery?

8 So does anyone have any questions about how
9 we vote?

10 DR. GILBERT: Is it yes or no?

11 (Laughter.)

12 DR. GILBERT: Okay. Is this a trick
13 question?

14 DR. HERSCOVITCH: Just go over the
15 technology.

16 DR. ROYAL: So about the wording of this
17 question, do you have any questions about how this
18 question is worded?

19 (No response.)

20 DR. ROYAL: If there is no further
21 discussion on this question, we will now begin the
22 voting process.

1 Please press the button on your microphone
2 that corresponds to your vote. You will have
3 approximately 20 seconds to vote. Please press the
4 button firmly. After you've made your selection,
5 the light may continue to flash. If you are unsure
6 of your vote or you wish to change your vote,
7 please press the corresponding button again before
8 the vote is closed.

9 So it's time to vote, so you can vote.

10 (Vote taken.)

11 DR. SHEPHERD: For the record, the vote is
12 11 yes, zero no, zero abstain, zero no voting.

13 DR. ROYAL: Now that we know that the vote
14 is complete, we will go around the table and have
15 everyone who voted state their name, vote, and if
16 you want to, you can state the reason why you voted
17 as you did into the record.

18 So why don't we start with -- it's only
19 voting members. Dr. Zamorano, state your name,
20 your vote, and if you want to, state the reason why
21 you voted the way you did.

22 DR. ZAMORANO: Lucia Zamorano. I voted yes.

1 And the reason is because there is enough evidence
2 that this agent is an adjuvant to the surgical
3 procedure and can facilitate the real-time
4 detection and visualization of malignant tissue in
5 glioma surgery.

6 Still, I think it is very important to put
7 all these other warnings that we have been
8 discussing about false positive, false negative,
9 judgment during surgery, and the fact that we do
10 not have evidence that this will increase really
11 survival of patients. But with all these warnings,
12 I think it's an important addition to our
13 armamentarium as a surgeon.

14 DR. ROYAL: Dr. Byrne?

15 DR. BYRNE: Rich Byrne. I voted yes. I
16 believe that the data presented supports the
17 approval for the proposed indication as written.

18 MS. ARKUS: Bonnie Arkus. I voted yes as I
19 believe the surgeon needs this tool to provide the
20 best care for this patient.

21 MS. ALMGREN: Peggy Almgren. I voted yes,
22 as I feel this can aid the surgeon in reducing

1 tumor load, and it seems to be easily tolerated.

2 DR. ROBERTS: Donna Roberts. I voted yes.
3 I participated as a neuroradiologist in
4 intraoperative MRI scans, and I know the extensive
5 involvement in those procedures, although the
6 information that you gain from that is very useful.
7 And this agent seems to be able to provide that
8 same benefit very easily and in real time. So I
9 think this is an important advancement.

10 DR. HACKNEY: I'm David Hackney. I voted
11 yes. I think it clearly is useful to the
12 neurosurgeon to have this information. It may well
13 reduce the need for intraoperative MRI, which, as
14 you heard, is both time consuming, expensive, and
15 not widely available. And it has the potential to
16 make the surgeons more confident and perhaps even
17 faster in doing the operation if they have less
18 equivocation about when they've achieved their
19 desired level of resection. So I think it's a
20 useful advance.

21 DR. JACOBS: Paula Jacobs. I voted yes. I
22 think the data presented for both efficacy and

1 safety are adequate for approval of a drug in this
2 very horrible disease and that surgeons need every
3 tool that we can offer them to help them with their
4 art.

5 DR. ROYAL: Henry Royal. I voted yes for
6 all the reasons that people have already stated.

7 DR. TOLEDANO: Alicia Toledano. I voted
8 yes. There's an extensive safety database, and in
9 the context of this disease and in the surgeons
10 really wanting this ability to use this product, I
11 think it's enough.

12 DR. HERSCOVITCH: Peter Herscovitch. I
13 voted yes. There is definitely a favorable
14 benefit-to-risk ratio. And though the benefit is
15 only going to be incremental in this extremely
16 difficult disease, I think an incremental benefit
17 is something that we should be appreciative of.

18 DR. GILBERT: Mark Gilbert, and I voted yes
19 for all the reasons stated by my colleagues, as
20 well as the recognition that the more patients that
21 have a more extensive resection, the better we'll
22 be able to look at new agents. Also with the

1 knowledge, like with any other technology, as our
2 colleague use this more and more, they'll get even
3 more facile, and the outcomes will be even better.

4 DR. ROYAL: Before we adjourn, are there any
5 last comments from the FDA?

6 DR. MARZELLA: None other than that we want
7 to thank the committee for a great discussion. We
8 appreciate the feedback.

9 DR. ROYAL: Dr. Roberts?

10 DR. ROBERTS: Yes. I just wanted to implore
11 the company to please take into consideration
12 moving forward pediatric patients, and how useful
13 this could be in that population as well, and to
14 consider including them in any future trials.

15 DR. ROYAL: Panel members, please take all
16 your personal belongings.

17 DR. MARZELLA: May I follow up on that
18 question? Regarding use in pediatric patients, to
19 what extent do you think that the data in adults
20 could be extrapolatable to children? Is there
21 enough known about the disease? Would you expect
22 there to be -- to what extent would you want to see

1 trials done, randomized trials done in that
2 population?

3 DR. ROBERTS: I think your question is
4 trying to get at, if this is approved in adults,
5 does that give us license to go ahead and start
6 using it off label in pediatric patients.

7 DR. MARZELLA: No, no. That's not the
8 question. The question is, would there be enough
9 similarity between the disease in children and in
10 adults to not require extensive data, but a more
11 limited dataset to show that the product works just
12 as well in children?

13 DR. ROBERTS: I think the issues concerning
14 safety would have to be addressed in children.

15 DR. MARZELLA: Yes

16 DR. ROBERTS: I would expect that the agent
17 would work similarly in pediatric patients as well.
18 There might be differences as far as the
19 infiltrative natures of the tumor and a more
20 widespread disease, but that's the kind of
21 questions that would have to be answered.

22 DR. GILBERT: So can I add to that? In the

1 pediatric central nervous system, cancer is much
2 more so than an adult. Extent of resection is
3 absolutely critical. And it's germane in the most
4 common pediatric tumor, which is medulloblastoma,
5 where a complete resection has a much different
6 outcome than if there's residual disease and an
7 ependymoma, so two of the common tumors without a
8 doubt.

9 In fact, with medullo, it's so important,
10 and ependymoma, it's so important, surgeons go back
11 in for a second operation just to achieve that
12 extensive resection. And if they could do it one
13 time because they can visualize the cancer, it
14 would be a game changer.

15 DR. MARZELLA: Could we ask the company if
16 they know of any data regarding this?

17 DR. ROYAL: I would like to finish the
18 committee's business. We've addressed the issue we
19 were supposed to address. Any of these other
20 questions that you have about pediatric
21 applications, you can discuss after the committee
22 meeting.

1 DR. MARZELLA: Great. Thank you.

2 **Adjournment**

3 DR. ROYAL: Panel members, please take all
4 your personal belongings with you as the room will
5 be cleaned at the end of the meeting day. All
6 materials left on the table will be disposed of.
7 Please also remember to drop off your name badge at
8 the registration table on your way out, so that
9 they may be recycled. We will now adjourn the
10 meeting. Thank you.

11 (Whereupon, at 2:46 p.m., the meeting was
12 adjourned.)

13

14

15

16

17

18

19

20

21

22