

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUTAION AND RESEARCH

PEDIATRIC SUBCOMMITTEE OF THE
ONCOLOGIC DRUGS ADVISORY COMMITTEE MEETING

Topic 2

Wednesday, June 21, 2017
10:10 a.m. to 11:47 a.m.

FDA White Oak Campus
The Great Room
10903 New Hampshire Avenue
Silver Spring, Maryland

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Lauren Tesh, PharmD, BCPS**

4 Division of Advisory Committee and Consultant

5 Management

6 Office of Executive Programs, CDER, FDA

7

8 **ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)**

9 **Alberto S. Pappo, MD**

10 *(Chairperson, pedsODAC)*

11 Member and Head, Division of Solid Malignancies

12 St Jude Children's Research Hospital

13 Professor of Pediatrics

14 University of Tennessee Health Science Center

15 Memphis, Tennessee

16

17 **Courtney J. Preusse, MA**

18 *(Consumer Representative)*

19 Senior Research Administrator and CLIA Operations

20 Director

21 Fred Hutchinson Cancer Research Center

22 Seattle, Washington

1 **Bruce J. Roth, MD**

2 Department of Internal Medicine

3 Division of Medical Oncology

4 St. Louis, Missouri

5

6 **ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS**

7 **(Non-Voting)**

8 **Phuong Khanh (P.K.) Morrow, MD, FACP**

9 *(Industry Representative)*

10 Executive Medical Director, Amgen Oncology

11 Therapeutic Area Head, US Medical Organization

12 One Amgen Center Drive

13 Thousand Oaks, California

14

15 **TEMPORARY MEMBERS (Voting)**

16 **Carola A. S. Arndt, MD**

17 Professor of Pediatrics and Consultant

18 Division of Pediatric Hematology/Oncology

19 Department of Pediatric and Adolescent Medicine

20 Mayo Clinic College of Medicine and Science

21 Rochester, Minnesota

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Steven G. DuBois, MD

(Participation in Day 1)

Director, Experimental Therapeutics

Dana-Farber/Boston Children's Hospital

Associate Professor of Pediatrics

Harvard Medical School

Boston, Massachusetts

Richard G. Gorlick, MD

Division Head and Chair, Pediatrics

Robert A. Mosbacher

Chair of Pediatrics

The University of Texas MD Anderson

Children's Cancer Hospital

Houston, Texas

1 **Leo Mascarenhas, MD, MS**

2 Deputy Director

3 Children's Center for Cancer and Blood Diseases

4 Section Head - Oncology, Associate Professor of

5 Pediatrics

6 Division of Hematology, Oncology, and Blood and

7 Marrow Transplantation

8 Children's Hospital Los Angeles

9 Keck School of Medicine, University of Southern

10 California

11 Los Angeles, California

12
13 **Elizabeth A. Raetz, MD**

14 Professor of Pediatrics

15 Medical Director, Leukemia and Lymphoma

16 Program

17 Pediatric Hematology/Oncology

18 University of Utah

19 Huntsman Cancer Institute

20 Primary Children's Hospital

21 Salt Lake City, Utah

22

1 **Brenda J. Weigel, MD, MSc**

2 Professor

3 Division Director, Pediatric Hematology/Oncology

4 University of Minnesota

5 Developmental Therapeutics Chair

6 Children's Oncology Group

7 Minneapolis, Minnesota

8

9 **FDA PARTICIPANTS (Non-Voting)**

10 **Gregory Reaman, MD**

11 Associate Office Director

12 Associate Director for Pediatric Oncology

13 Oncology Center of Excellence

14 Office of Hematology and Oncology

15 Products (OHOP)

16 Office of New Drugs (OND), CDER, FDA

17

18 **Aviva Krauss, MD**

19 *(Participation in Day 1, Topic 2)*

20 Medical Officer

21 Division of Hematology Products (DHP)

22 OHOP, OND, CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Topic 2: PM01183 (lurbinectedin)	
4	Conflict of Interest Statement	
5	Lauren Tesh, PharmD, BCPS	8
6	Industry Presentations - Pharma Mar USA, Inc.	
7	Lurbinectedin (PM01183) for the treatment of	
8	Ewing Sarcoma and Neuroblastoma	
9	Arturo Soto, MD	13
10	Clarifying Questions from Subcommittee	33
11	Questions to the Subcommittee and Discussion	68
12	Adjournment	86
13		
14		
15		
16		
17		
18		
19		
20		
21		
22		

P R O C E E D I N G S

(10:10 a.m.)

DR. PAPP0: We're going to get started. We will now proceed with topic two, PM01183, lurbinectedin from Pharma Mar USA, Incorporated. Dr. Lauren Tesh will read the conflict of interest statement for this session.

Conflict of Interest Statement

DR. TESH: The Food and Drug Administration is convening today's meeting of the Pediatric Subcommittee of the Oncologic Drugs Advisory Committee under the authority of the Federal Advisory Committee Act of 1972.

With the exception of the industry representative, all members and temporary voting members of the committee are special government employees or regular federal employees from other agencies and are subject to federal conflict of interest laws and regulations. The following information on the status of this committee's compliance with the federal ethics and conflict of interest laws, covered by but not limited to those

1 found at 18 U.S.C. Section 208, is being provided
2 to participants in today's meeting and to the
3 public.

4 FDA has determined that members and
5 temporary voting members of this committee are in
6 compliance with federal ethics and conflict of
7 interest laws. Under 18 U.S.C. Section 208,
8 Congress has authorized FDA to grant waivers to
9 special government employees and regular federal
10 employees who have potential financial conflicts,
11 when it is determined that the agency's need for a
12 special government employee's services outweighs
13 his or her potential financial conflict of interest
14 or when the interests of the regular federal
15 employee is not so substantial as to be deemed
16 likely to affect the integrity of the services
17 which the government may expect from the employee.

18 Related to the discussion of today's
19 meeting, members and temporary voting members of
20 this committee have been screened for potential
21 financial conflicts of interest of their own, as
22 well as those imputed to them, including those of

1 their spouses or minor children, and for purposes
2 of 18 U.S.C. Section 208, their employers.

3 These interests may include investments,
4 consulting, expert witness testimony, contracts,
5 grants, CRADAs, teaching, speaking, writing,
6 patents and royalties, and primary employment.

7 This session's agenda involves information
8 to gauge investigator interest in exploring
9 potential pediatric development plans for three
10 products in various stages of development for adult
11 cancer indications. The subcommittee will consider
12 and discuss issues concerning diseases to be
13 studied, patient populations to be included, and
14 the possible study designs in the development of
15 these products for pediatric use.

16 The discussion will also provide information
17 to the agency pertinent to the formulation of
18 written requests for pediatric studies if
19 appropriate.

20 The product under consideration for this
21 session is PM01183, lurbinectedin, presentation by
22 Pharma Mar USA, Inc. This is a particular matters

1 meeting during which specific matters related to
2 Pharma Mar USA, Incorporated's product will be
3 discussed.

4 Based on the agenda for today's meeting and
5 all financial interests reported by the committee
6 members and temporary voting members, no conflict
7 of interest waivers have been issued in connection
8 with this meeting. However, for the record, we
9 would like to disclose that Dr. Lia Gore has been
10 recused from participating in this session.

11 To ensure transparency, we encourage all
12 standing members and temporary voting members to
13 disclose any public statements that they have made
14 concerning the product at issue.

15 With respect to FDA's invited industry
16 representative, we would like to disclose that
17 Dr. P.K. Morrow is participating in this meeting as
18 a non-voting industry representative, acting on
19 behalf of regulated industry. Dr. Morrow's role at
20 this meeting is to represent industry in general
21 and not any particular company. Dr. Morrow is
22 employed by Amgen.

1 We would like to remind members and
2 temporary voting members that if the discussion
3 involves any other products or firms not already on
4 the agenda for which the FDA participant has a
5 particular personal or imputed financial interest,
6 these participants need to exclude themselves from
7 such involvement, and their exclusion will be noted
8 for the record.

9 FDA encourages all other participants to
10 advise the committee of any financial relationships
11 that they might have with the firm at issue. Thank
12 you.

13 DR. PAPP0: Thank you, Dr. Tesh. Both the
14 Food and Drug Administration and the public believe
15 in a transparent process for information-gathering
16 and decision-making. To ensure such transparency
17 of the advisory committee meeting, the FDA believes
18 that it is important to understand the context of
19 an individual's presentation.

20 For this reason, the FDA encourages all
21 participants, including the applicant's non-
22 employee presenters, to advise the committee of any

1 financial relationship that they may have with the
2 firm at issue such as consulting fees, travel
3 expenses, honoraria, and interest in the applicant,
4 including equity interests and those based upon the
5 outcome of the meeting. Likewise, the FDA
6 encourages you, at the beginning of your
7 presentation, to advise the committee if you do not
8 have any such financial relationships.

9 If you choose not to address this issue of
10 financial relationships at the beginning of your
11 presentation, it will not preclude you from
12 speaking. We will now proceed with Pharma Mar
13 USA's presentation.

14 **Industry Presentation - Arturo Soto**

15 DR. SOTO: Thank you very much and good
16 morning, everyone. My name is Arturo Soto, and I
17 am the director of clinical development at Pharma
18 Mar. Today, I would like to present some data
19 regarding lurbinectedin that will serve for the
20 discussion of the development time in the pediatric
21 indication.

22 Today, I will start talking about the

1 mechanism of action, then some sort of review about
2 the regulatory history of this compound. Then I
3 will present the preclinical data that supported
4 the clinical studies. And also, importantly, I
5 will show some data regarding our experience with
6 this drug in adults and also from this trial the
7 information that is relevant for the pediatric
8 indication.

9 After that, I will present what we have
10 thought about pediatric development, and finally I
11 will express some potential challenges for the
12 clinical development of this drug in the pediatric
13 indications.

14 Starting with the mechanism of action, first
15 of all, I would like to say that this drug,
16 lurbinectedin, is a second generation of another
17 drug that is currently approved in the U.S. for the
18 treatment of soft-tissue sarcoma, trabectedin.
19 Both drugs are related, but we have detected that
20 lurbinectedin has important differences in terms of
21 pharmacology, safety, and also efficacy.

22 The main message for today regarding

1 mechanism of action -- because of what we have
2 investigated a lot on the mechanism of action of
3 lurbinectedin, we can tell many more things. But
4 the most relevant for today's session is that
5 lurbinectedin is a transcription inhibitor.

6 What does this mean? Lurbinectedin first is
7 bound to the DNA, to the CD-rich sequences, which
8 are mainly located around the promoters of
9 protein-coating genes. Then this binding produces
10 the starting of the elongating, so the RNA
11 polymerase II has to be elongated to be
12 [indiscernible] and degraded by the
13 ubiquitin/proteasome machinery.

14 Then there is the recruitment of specific
15 endonuclease, such as XPF and ERCC1. And in
16 attempt to revert the break that has been -- or the
17 alteration that has been produced by this complex,
18 there would be the production of DNA breaks that
19 will deal finally with apoptosis.

20 You know very well that cancer cells
21 aberrantly deregulate specific gene expression
22 programs with critical function in cell

1 differentiation, proliferation, and death. And
2 it's today well known that this altered gene deemed
3 programs in cancer cells have striking dependence
4 on continuous active transcription, what has been
5 called transcription addiction.

6 Perhaps one of the main examples of these
7 tumors with transcription addiction is Ewing
8 sarcoma. Ewing sarcomas are rare tumors and
9 account for about 2 percent of all childhood
10 cancers. And it's very well known that specific
11 translocation between chromosome 11 and
12 chromosome 22 results in the generation of the
13 Ewing's FLI1 chimeric gene that produced the
14 chimeric protein.

15 This protein functions as an active
16 transcription factor that is the hallmark of
17 Ewing's sarcoma. This translocation is present in
18 over 85 percent of the patients with this disease.

19 Ewing's FLI1 alters the expression of
20 approximately 1,000 genes, and many of these are
21 involved in malignant transformation, metastatic
22 potential. It then also facilitates angiogenesis,

1 the evasion of senescence, and directly or
2 indirectly produces inhibition of apoptosis. This
3 has been not so only in Ewing sarcoma, but also
4 this has been the most triggered. This
5 transcription addiction is present also in many
6 other tumor types.

7 In these relatively recent papers, this
8 relevance is stressed, and I would like to mention
9 because for today's discussion it will be relevant
10 that this transcription addiction has been shown in
11 triple-negative breast cancer, small-cell lung
12 cancer, soft-tissue sarcomas, and ovarian cancers.

13 Going back to the potential effect of
14 lurbinectedin in patients with Ewing sarcoma, apart
15 from the effect on the degradation of RNA
16 polymerase 2, lurbinectedin also produces a target
17 block in the binding of Ewing's FLI1 to its target
18 genes. Also, it has been demonstrated that
19 redistribution of Ewing's FLI1 in the nucleus is
20 produced with lurbinectedin. The result has been
21 in vivo a complete reversal of Ewing's FLI1
22 activity and the elimination of established tumors.

1 This has been everything that I wanted to
2 recommend regarding the mechanism of action, and
3 now I summarize the related history of
4 lurbinectedin. The initial investigation of the
5 new drug application for lurbinectedin went into
6 effect in January 2009.

7 Since then, 19 clinical trials have been
8 completed or are today ongoing, and these have been
9 conducted in Europe, mainly in Europe and the U.S.
10 In July 2012, lurbinectedin received the orphan
11 drug designation for the treatment of ovarian
12 cancer in the U.S. and in the European Union.

13 In October of 2016, the FDA agreed on a full
14 waiver for the initial pediatric study for the
15 treatment of patients with extensive-stage small-
16 cell lung cancer. The orphan designation was an
17 indication of ovarian cancer. And today,
18 lurbinectedin is not approved in any country.

19 Now I'm going to focus on some non-clinical
20 data. This slide presents those regarding the
21 pharmacokinetic characteristics. This drug after
22 administration to mice, rats, dogs, and cynomolgus

1 monkeys have demonstrated to have a long terminal
2 elimination half-life, slow plasma clearance, and
3 large volumes of distribution. The Cmax and AUC
4 are proportional to the dose, up to the MTD, byre
5 both after single or repeated doses.

6 The regulator, lurbinectedin, has been
7 distributed in rats, and the maximum concentration
8 is observed in the spleen, liver, lymph nodes,
9 thyroid glands, lung, kidney, and small intestine.
10 The lowest radioactivity was detected in brain and
11 testes.

12 The feces have been shown to be the main
13 route of excretion in the rat, more than 90 percent
14 in the first week post-dose. Oxidative metabolism
15 is very intensive and mainly is driven by CYP3A4.
16 And lastly, lurbinectedin is highly plasma protein
17 bound.

18 I have brought here this graph, although
19 it's not from non-clinical data. It shows very
20 well the differences with trabectedin I mentioned
21 before. Here, you can see in green-yellow the AUC
22 for lurbinectedin given as the recommended dose

1 that was obtained in the first phase 1 trial,
2 compared to the AUC of trabectedin. That is the
3 small piece that is in the left bottom part of this
4 graph. So the exposure to lurbinectedin is more
5 than 15 times higher than that for [indiscernible].

6 On the contrary, what we should have
7 expected, the half-life is 3 times lower, so this
8 higher exposure probably is driven by differences
9 in distribution processes.

10 Regarding non-clinical data again, but now
11 focused on safety and toxicology, there were no
12 concerns related to neurotoxicity, cardiovascular,
13 or respiratory functions. The people doing non-
14 clinical studies were performing up to 4 cycles in
15 rats and cynomolgus monkeys, and the one with
16 8 cycles is currently ongoing.

17 The clinical signs that I have to highlight
18 in these studies are transient body weight
19 decreases, diarrhea, and emesis. The mortality in
20 these animals was related to bone marrow
21 suppression, hepatic alterations, and
22 gastrointestinal events. It was common also in the

1 decrease in blood count cells, especially
2 neutrophils. And in animals, it was seen that the
3 hepatotoxicity was mainly an increase in liver
4 function tests, hepatocellular necrosis, and
5 biliary damage.

6 Again, I wanted to put here some data in
7 humans where you can see that we join the list, the
8 trabectedin, the elevation of transaminases in the
9 phase 1 that used the same schedule, 1-hour
10 infusion that we are using with 1183, many patients
11 had grade 3 or even grade 4 transaminase
12 elevations. But with 1183, only in 7 percent of
13 the patients treated in the first phase 1 had these
14 elevations of transaminases, and no patients had
15 grade 4 transaminase elevations.

16 So this is just to show you the differences
17 in terms of pharmacokinetic properties in the prior
18 slide, and here the differences in the safety
19 profile.

20 Regarding clinical trials in adult patients
21 with cancer, today we have treated more than 1,000
22 patients with lurbinectedin in Pharma Mar's

1 sponsored clinical trials. The recommended dose of
2 single agent is 3.2 milligrams per meter squared,
3 and in combination, the dose ranges from 1.2 to
4 2.2 milligrams per square meter.

5 The most relevant adverse event associated
6 with lurbinectedin are hematological, mainly
7 dose-dependent neutropenia. Other adverse events
8 were mild to moderate gastrointestinal events and
9 fatigue. And efficacy was observed in different
10 tumor types with single agent or in studies in
11 combination.

12 This is a brief summary of all the trials we
13 have conducted up to now with lurbinectedin.
14 Starting with a single-agent phase 1 trial, the
15 first one was using the 1-hour every-few-weeks
16 infusion. So we started another one, trying to
17 split the dose into the days 1 and day 8
18 maintaining the periodicity of 3 weeks. And then
19 we started another phase 1 in acute leukemia
20 patients. Phase 1 in combination, we have done.
21 Some are completed and some are ongoing with
22 doxorubicin, gemcitabine, capecitabine, paclitaxel,

1 paclitaxel plus bevacizumab, cisplatin, and even
2 irinotecan.

3 Regarding phase 2, no randomized trials, we
4 have conducted one in pancreatic cancer, one in
5 breast cancer, and one basket trial, including up
6 to 9 different indications of solid tumors. In
7 phase 2, randomized trials were conducted in
8 platinum-resistant or refractory ovarian cancer,
9 using as control arm, topotecan, and another one in
10 non-small-cell lung cancer of lurbinectedin, one
11 arm, second arm, lurbinectedin plus gemcitabine,
12 and the control arm, docetaxel.

13 Today, we are conducting two big phase 3
14 trials, one in platinum-resistant ovarian cancer,
15 using PLD or topotecan as control arm and another
16 one in small-cell lung cancer with, on this
17 occasion, not lurbinectedin alone, but combined
18 with doxorubicin, and the control arm is the
19 sufficient choice between CAV or topotecan.

20 Now I'm going to show you a summary of the
21 efficacy that we have found in these different
22 indications using first lurbinectedin combined with

1 other drugs, and after that used as single agent.

2 In this slide, you can see on the left part,
3 the activity we have observing endometrial cancer
4 in combination with doxorubicin. The total
5 patients included were 32, and 37 percent of them
6 had responses including 2 patients with partial
7 response with complete [indiscernible] responses.
8 In combination with paclitaxel, where we include 10
9 patients, the response rate was 30 percent.

10 Regarding ovarian cancer, we included these
11 patients in many of the combinations that we
12 conducted in phase 1. The numbers are relatively
13 small. For example, the highest bar is impressive,
14 but we have to say that there were only 3 patients
15 in which all of them responded, and 1 out of 3 had
16 completed responses. But also, we observed
17 responses in combination with gem, 40 percent; with
18 paclitaxel, 33 percent; and with cisplatin,
19 20 percent.

20 In small-cell lung cancer and metastatic
21 breast cancer, also from phase 1 studies in
22 combination, on the left is a combination with

1 doxorubicin treating second-line small-cell lung
2 cancer patients, where we had a 50 percent response
3 rate. We take into account the 5 patients that
4 were treated in the same trial. In the third line,
5 the same disease, small-cell lung cancer, the
6 response rate was 20 percent.

7 In combination with paclitaxel, only
8 7 patients were included, but a response rate of
9 71 percent, including 1 patient with a complete
10 response.

11 In breast cancer, again, the number of
12 patients included in each study is quite variable,
13 but in general, we have observed a level of
14 activity -- for example, for doxorubicin, it was
15 more than 60 percent; gemcitabine, 17 percent;
16 capecitabine is the number of patients more
17 relevant with 28 patients and a response rate of
18 54 percent. And with paclitaxel, among 12
19 patients, 58 of them had partial responses.

20 Regarding the clinical trials, phase 2
21 trials, using single agents, so not in combination,
22 lurbinectedin, the first one that I want to comment

1 is a clinical trial in BRCA-1 or -2 mutated
2 metastatic breast cancer patients. In this trial,
3 we included 54 patients, and the response rate was
4 41 percent.

5 In the basket trial I had mentioned before,
6 out of the 9 indications that were included
7 initially, we had responses in 6 of them.
8 Particularly in metastatic breast cancer patients
9 with a mutation at the BRCA gene, we are in the
10 33 percent range of response rate.

11 In the small-cell lung cancer, we included
12 up to now a total of 23 patients, and the response
13 rate was 26 percent. In endometrial, using 11 and
14 3 alone, it's 14 percent. In Ewing's family of
15 tumors, we have included really more than 17
16 patients, but these are those that are evaluated
17 for efficacy, and among them, 18 percent had
18 responses. Less effect was seen in biliary tract
19 tumors and neuroendocrine tumors.

20 This is the phase 2 randomized trial that we
21 presented the results in ASCO 2014. This was, as I
22 said before, a randomized trial. The control arm

1 was topotecan. The response rate was in the whole
2 population 21 percent, but if we focus on the
3 resistant, the platinum-resistant ovarian cancer
4 population, the response rate went up to
5 30 percent.

6 In the right part of the slide, you can see
7 how even with a relatively reduced amount of
8 patients, the total number was 82. But even with
9 this number of patients, we had significant
10 differences in PFS and even in overall survival.

11 A couple of slides about the safety of the
12 compound, because for the next discussion, I think
13 that is very relevant. This is a slide that shows
14 the adverse events ordered by frequency, and you
15 can see how there are no events of grade 4. Some
16 of them were grade 3, and the most frequent were
17 nausea, vomiting, and fatigue.

18 But I would like to remark that this drug,
19 at least in grade 3 and grade 4, does nothing about
20 cardiac events, neurological events. The
21 stomatitis is very low, and also this drug does not
22 produce alopecia.

1 These are the labs, the safety regarding the
2 labs' values after the administration of
3 lurbinectedin. Here, it's clear that the drug
4 induces neutropenia, up to 30 percent of the
5 patients with grade 4 neutropenia and close to
6 20 percent of the patients with grade 3. Febrile
7 neutropenia can be controlled and be lower than
8 10 percent of the patients.

9 As I said before, lurbinectedin produces
10 elevations of transaminases in a reduced amount of
11 patients, particularly practically zero percent
12 grade 4 under about 10 percent grade 3 if we talk
13 about more specific for liver damage that is ALT.

14 Now I'm going to present some data from
15 these trials in adults that are relevant to the
16 pediatric indications. The characteristics of the
17 patients with Ewing sarcoma were included in the
18 basket trial. The median age was 30 years. Most
19 of the patients had ECOG-performing status of 0 or
20 1. The median body surface area was close to 2,
21 and the prior chemotherapy lines were 1 in 5
22 patients, 2 in 12 patients, or more than 2 in 5

1 patients.

2 This is the response, not only those that
3 had responses that were 3, so with a response rate
4 of 18 percent, but also you can consider how there
5 are many prolonged stabilizations. In fact, the
6 disease control rate was 65 percent.

7 Here, I brought an image from a patient
8 treated in France with lesions in lung, pleural,
9 and mediastinum. And here you can see that after
10 2 cycles of lurbinectedin, one lesion in the lung
11 was reduced and one impressive lesion in the
12 mediastinum in the bottom part of this slide, that
13 after 2 cycles of lurbinectedin, practically
14 disappeared.

15 We think that we have three reasons to
16 develop lurbinectedin in Ewing sarcoma. That is
17 the mechanism of action rationale, the data that we
18 have in adults and also the data we have in
19 preclinical models. But really, the second
20 indication that we'd like to develop in the
21 pediatric patients is neuroblastoma.

22 Really, we don't have patients treated in

1 our clinical trials with this disease. The reason
2 is that up to 25 percent of the patients with
3 neuroblastoma have amplifications of the MYCN gene
4 that is part of the family of the MYC gene. And
5 this is associated with very high-risk disease and
6 with very poor prognosis. MYCN protein is a DNA
7 binding transcription factor known to cause
8 malignant transformation in both in vitro and
9 in vivo tumor models.

10 So this is everything regarding
11 neuroblastoma, but we think that it's worth trying
12 to see what we are observing until then. The plan
13 that we have is presented in this slide. We will
14 start with a phase 1 classic 3-plus-3 dose
15 escalation. We will start with single agent in
16 all-comers or we will not restrict here the
17 population of patients. This would be a criteria
18 of the physician if there is any possibility that
19 one patient can benefit from this type of
20 treatment.

21 The objectives will be to find the
22 recommended dose, the pharmacokinetics in this

1 population, and of course safety. Then in
2 parallel, we will conduct phase 2 expansion
3 cohorts, in Ewing's sarcoma, in neuroblastoma with
4 a MYCN amplification, and of course other tumor
5 types where the phase 1 part of the trial have
6 shown interesting activity.

7 In this case, the primary endpoint will
8 be -- or the objective will be the confirmation of
9 the recommended dose or also to find efficacy
10 signals. And in parallel,, we will conduct
11 combination studies with lurbinectedin, first to
12 find the right dose with classical phase 1
13 escalation trials using combination. And then we
14 will move the same case as in lurbinectedin alone
15 to the phase 2 expansion cohorts in the
16 indications, in the main indication Ewing sarcoma
17 and neuroblastoma, and other, depending on what we
18 have observed in the first phase 1 part of the
19 trials.

20 Of course, if we find interesting activity,
21 then we will have to discuss which type of phase 2
22 or phase 3 trials would be randomized or not,

1 depending on the frequency of the disease in this
2 population.

3 In the beginning, we plan to include only
4 patients that are older than 2 years, and one
5 safety information for these groups is available.
6 We will continue including patients of other
7 subgroups, for example infants and toddlers from
8 28 days to 23 months of age, and term newborn
9 infants from birth to 27 days.

10 This is my last slide. This is the
11 enumeration of potential challenges for the
12 clinical development of lurbinectedin in pediatric
13 indications. The first is from the broader point
14 of view. Lurbinectedin is given via IV, and the
15 solution for infusion contains sucrose, sodium
16 lactate, sodium chloride, or glucose as excipients.
17 And sometimes this can be a problem for
18 administering to children.

19 Oral presentations for pediatric are
20 precluded because the drug has very low
21 permeability, and in the studies that we have
22 performed of bioavailability, this is below

1 5 percent. Also today, lurbinectedin needs to be
2 infused in a volume of at least 100 milliliters,
3 and the lower doses for these pediatric patients
4 will require the optimization of lurbinectedin
5 strength per vial and the infusion volumes to
6 ensure accurate dose measurements to reduce the
7 risk of dosing errors and to prevent subcutaneous
8 lesions in case of extravasation.

9 Also, we're concerned because these drugs
10 mainly metabolize in the liver, is the question of
11 the liver maturation, that there's not a lot of
12 clarity regarding when a liver or when a child can
13 have or can be considered to have completely
14 matured the liver in terms of cytochromes. Also,
15 another issue to take into account for development
16 of lurbinectedin in children is the low penetration
17 in the central nervous system on the testes.

18 That's everything. Thank you very much for
19 your attention.

20 **Clarifying Questions from Subcommittee**

21 DR. PAPP0: Thank you very much. We will
22 now take clarifying questions for Pharma Mar.

1 Please remember to state your name for the record
2 before you speak. If you can, please direct
3 questions to a specific presenter, and I'm going to
4 start again with two very quick questions.

5 The administration, is it going to be over
6 3 hours or over 24 hours? Because that's how
7 trabectedin is started, every 3, and then you went
8 with 24. What is the schedule of administration of
9 this drug?

10 DR. SOTO: We have tried only 1-hour
11 infusion, and it has worked. So we didn't find any
12 reason to increase or to go to more complicated
13 ways of administering. So we were maintaining
14 children the same 1-hour infusion schedule that we
15 have done for all our trials in adults.

16 DR. PAPPO: Just out of curiosity, were
17 there any patients with myxoid liposarcoma treated
18 with this drug? Were you able to replicate the
19 same results we saw with trabectedin or no
20 patients?

21 DR. SOTO: No. In adults, there is one
22 clinical trial, but we are not the sponsor. The

1 sponsor is an investigator in Harvard University.
2 And he has made a trial with three arms in soft-
3 tissue sarcomas. The problem is that they haven't
4 included patients with liposarcoma, so we really
5 don't know the activity there.

6 The results will be presented soon. But for
7 the moment, we have no control over this as we are
8 not the sponsor. We don't have an answer for this
9 question.

10 DR. PAPP0: Thank you very much. Steve?

11 DR. DuBOIS: Steve DuBois from Dana-Farber,
12 Boston Children's. A question about the ongoing
13 Ewing's trial or the larger trial that includes a
14 cohort for patients with Ewing sarcoma; what is the
15 lower age range for that?

16 I ask because, as you know, Ewing's is
17 largely an adolescent and young adult malignancy,
18 so you could capture a significant proportion of
19 patients with relapsed Ewing's just by bringing the
20 age range of that trial down.

21 DR. SOTO: It was very complicated to
22 include patients lower than 18. In fact, finally

1 the population is adults, so over 18. The median
2 age that I presented in the slides is 30, but no
3 patient below 18.

4 DR. DuBOIS: And that trial is ongoing or
5 it's concluded?

6 DR. SOTO: It's ongoing.

7 DR. DuBOIS: It's ongoing. And in that
8 trial, how did you define Ewing family of tumors?
9 That's a somewhat controversial area these days.
10 Did you require demonstration of an EWS
11 translocation or what was the --

12 DR. SOTO: No. This was a big basket trial,
13 and we really wanted to signs of activity of many
14 tumor types, so we were not very exclusive trying
15 to include criteria that could restrict inclusion
16 of patients. It was left to the criteria of the
17 investigator.

18 DR. DuBOIS: Then related to the
19 neuroblastoma potential cohort, do you have data
20 from adults perhaps with MYC-driven malignancies,
21 not MYCN-driven malignancies, to suggest that
22 patients with those tumors are more likely to

1 benefit from the agent?

2 DR. SOTO: For the moment, I have to say
3 that we are performing pharmacogenomic analysis in
4 all the patients that we are including in our
5 clinical trials. These, as you know, sometimes is
6 not so easy to get the tumor specimens, but we are
7 doing a big effort trying to have these samples.
8 But for the moment, the data we have analyzed,
9 there are no patients with the MYC amplification.

10 DR. DuBOIS: Thank you.

11 MS. PREUSSE: Courtney Preusse, Fred
12 Hutchinson Cancer Research Center. I have very
13 serious concerns about the drugs that are used in
14 combination with the proposed new drug and I am
15 concerned about an underestimation of adverse
16 effects.

17 I am very familiar with the breast cancer
18 and ovarian cancer patient population and drugs
19 treated for early-stage and metastatic breast and
20 ovarian cancer. And I am aware that gemcitabine,
21 capecitabine, paclitaxel, cisplatin, irinotecan are
22 extremely toxic drugs associated with many adverse

1 side effects that can potentially lead to life-
2 threatening side effects.

3 So I don't feel like that is well
4 represented in this presentation. And I worry that
5 slide 18 or 19 - sorry -- 18, I don't know if those
6 are adverse effects in combination with those other
7 drugs. But this gives me great pause when talking
8 about using this in a pediatric population.

9 DR. SOTO: This table includes only patients
10 from single-agent clinical trials. Of course, when
11 we combined lurbinectedin with other drugs, the
12 toxicity varied. But at the end, you have to
13 define in this phase 1 clinical trial the right
14 dose for both components or the three components of
15 the combination.

16 So we have rich doses that are acceptable
17 from our point of view. For example, we have very
18 extensive experience using a lurbinectedin plus
19 doxorubicin. And yes, of course the level of
20 hematologic adverse events is high, including
21 febrile neutropenias. But during the development
22 of the big phase 1 trial, we included about

1 150 patients for a phase 1 trial, this combination
2 with doxorubicin, and we tried to modulate how to
3 manage these toxicities.

4 For example, in the beginning, for the first
5 occurrence of grade 4 neutropenia, we mandate the
6 use of DCSF, so not as a primary, but after the
7 occurrence of the first episode of grade 4
8 neutropenia. If this grade 4 neutropenia
9 persisted, then we will decrease one level the
10 dose.

11 But this was not enough, and at the end, we
12 had to make some adaptations of the dose. For
13 example, initially, the dose of doxorubicin was
14 50 milligrams per square meter and at the end, the
15 recommended dose was 40.

16 Using these adaptations, we moved also from
17 a flat dose of lurbinectedin to a BSA-based dose,
18 and this also decreases the number of patients with
19 febrile neutropenia and neutropenia in general.
20 And now we are quite confident that with a new dose
21 of these 2 milligrams per square meter,
22 lurbinectedin; 40 milligrams per square meter,

1 doxorubicin, we can accept the toxicity, especially
2 the hematological toxicity.

3 With respect to any other concerns, for
4 example, the combination with gem in terms of liver
5 damage, there was nothing to be worried about. And
6 other typical toxicities with irinotecan, for
7 example, diarrhea, et cetera, with the same model,
8 the same strategy of dose modulation, we could
9 control quite well the toxicities.

10 MS. PREUSSE: A quick follow-up -- I guess I
11 would have liked to see more of the data on how
12 those adverse effects were treated, mediated, and
13 also how long that you followed these patients,
14 because some of these adverse side effects have
15 late effects.

16 DR. SOTO: Yes. We have a relevant amount
17 of patients that were on treatment for more than
18 two years, so it appears that all these
19 toxicities -- if the patient is responding and can
20 continue receiving the treatment, the toxicities
21 are not cumulative.

22 The measures that we have implemented,

1 especially because it's the main concern, the
2 hematological toxicity, are working. In fact, for
3 example, now in the phase 3 trial, we are
4 conducting in small-cell lung cancer. Of course, I
5 can't say anything because we are blinded to the
6 data, but do know that we received individual
7 reports of serious adverse events.

8 It appears there has not been serious
9 concern, even in a big population in many, many
10 sites, 160 sites, in more than 20 countries all
11 over the world, where sometimes the conditions are
12 not as controlled as in the phase 2 or in the phase
13 1 setting.

14 I can say that with all the measures that we
15 have included in the trials, safe use, those
16 adjustments, this has been very well controlled.

17 DR. ROTH: Bruce Roth, Wash U, St. Louis.
18 Could you go to the next slide, please?

19 This is not insignificant hepatotoxicity.
20 So my question is, in the combo trials that have
21 combined this drug with primarily hepatically
22 metabolized second drug, do you have any additional

1 safety signals? Do you have PK data on clearance
2 of the second drug, more neuropathy with
3 paclitaxel, or some other evidence that your second
4 drug is being metabolized differently in the
5 presence of this drug?

6 DR. SOTO: Yes. In all our phase 1
7 combination and sometimes even in phase 2 for a
8 combination, we obtain PK samples. There is a
9 slight interaction of lurbinectedin with
10 doxorubicin in that there is a slight reduction in
11 clearance, and it appears that this is consistent.

12 But this is our reflection of, for example,
13 the decision of going back, and instead of
14 50 milligrams per square meter of doxorubicin,
15 change to 40 milligrams per square meter. Perhaps,
16 apart from the toxicity, these degrees in the
17 doxorubicin clearance produced by lurbinectedin can
18 have an impact.

19 We haven't observed for the moment any other
20 relevant interaction with taxel, with paclitaxel,
21 with irinotecan, and with all the rest of the drugs
22 that were mentioned in the combination trials.

1 DR. ARNDT: Carola Arndt, Mayo Clinic. You
2 might have already said that, but in your current
3 ongoing Ewing study, what's the time interval for
4 treatment? I ask because I'm trying to gauge the
5 duration of the responses for those patients. Are
6 the cycles 21 days?

7 DR. SOTO: Yes. In almost all the trials I
8 have presented, the schedule is a 1-hour infusion
9 every 3 weeks.

10 DR. ARNDT: Every 3 weeks. Thank you.

11 DR. WEIGEL: Thank you. Brenda Weigel,
12 University of Minnesota. I have a couple of
13 questions. Following up on the combination as well
14 as single agent, are you noticing in your adult
15 trials that you have to dose-reduce either the
16 lurbinectedin or the other chemotherapeutic agent?
17 And then once dose-reduced, are they able to
18 continue with therapy or are they coming off? Do
19 you have a sense of -- are you having to titrate
20 the doses?

21 DR. SOTO: Yes, it depends. In Europe, we
22 put different criteria for reducing one, the other

1 drug, or both, or if the combination involves
2 three. For example, in the case of doxorubicin, we
3 can go up to -- determine cumulative dose. And
4 then we stop giving doxorubicin and then continue
5 with full dose of single-agent 1183.

6 So there were all the cases you have
7 mentioned. Sometimes we have to reduce. For
8 example, if we find liver damage with gem, we start
9 reducing gem. And then if it is persistent, we can
10 consider reducing lurbinectedin, but we will put
11 different criteria for each drug in the
12 combination.

13 DR. WEIGEL: And as a single agent, the
14 lurbinectedin, you're able to generally continue it
15 at the recommended phase 2 dose, or are those
16 patients in later cycles dose-reduced as a single
17 agent?

18 DR. SOTO: Yes. In general, there is a
19 proportion, a big proportion. I can't say the
20 exact number, but I would say about 60 percent of
21 the patients can continue with a starting dose,
22 especially because of the modulation that we have

1 mentioned before.

2 The dosing history of this drug is quite
3 complicated. We started with a recommended dose of
4 4 milligrams per square meter. Then in the first
5 phase 1 trial, we didn't find any correlation
6 between body surface area on clearance of the drug.
7 We changed to flood dose, and we moved back when we
8 had a pool, a more relevant number of patients from
9 the phase 2, that in fact there was a relationship
10 between some toxicities and body surface area.

11 So then we came back again to dose per
12 square meter, and to try to decrease the level of
13 neutropenia, especially for neutropenia, we reduced
14 from 4 milligrams per square meter to 3.2. That is
15 the dose that we are using today in the single-arm
16 trials.

17 Using this 3.2, really, there are patients
18 that still need it because their PK variability is
19 quite high. There are still patients that need
20 this dose reduction, but in general, the majority
21 of the patients can continue with 3.2 alone, all
22 the treatment.

1 DR. WEIGEL: Thank you.

2 My last question, I'll move on from
3 combinations. You've alluded a couple times in the
4 presentation to concerns about CNS penetration of
5 the agent. Many of the tumor types that you've
6 already studied would potentially have CNS
7 metastatic patients in their population.

8 Do you have a sense, over the 1,000 or so
9 patients that you've treated, of any concerns with
10 escape of progressive disease specifically within
11 the CNS or issues related to concerns about
12 treating patients with CNS disease?

13 DR. SOTO: Yes. In fact, small-cell lung
14 cancer patients are very common that they have to
15 leave the treatment not only with lurbinectedin,
16 but with many drugs because of the appearance of
17 brain lesions. But of course, it's not too much,
18 but we have one case where we have observed a
19 reduction in the lesions in the brain.

20 So there is a lot of controversy on
21 metastases in the brain on the primary tumors,
22 whether the blood-brain barrier is maintained or

1 not. In principle, we think that the penetration
2 is not going to be high, but we have one case, one
3 clear case of activity in a tumor and the brain
4 metastases of small-cell lung cancer.

5 DR. PAPP0: Before we proceed, I wanted to
6 ask Dr. Angiolillo to please introduce herself for
7 the record.

8 DR. ANGIOLILLO: Good morning. My name is
9 Ann Angiolillo from Children's National Medical
10 Center, Washington, D.C. Thank you.

11 DR. MASCARENHAS: Thank you for sharing that
12 data on Ewing sarcoma in your basket trial. I am
13 aware of preclinical data with this agent in Ewing
14 sarcoma, but not in any other pediatric-type
15 cancer.

16 Are you aware if there are any attempts to
17 explore this further with pediatric xenograft
18 models or potentially through the PPTB program to
19 look for a signal in other pediatric cancers?
20 That's my first question, and I have a second
21 question after that.

22 DR. SOTO: Yes. The only data that we have

1 for the moment in specific pediatric indication is
2 the data I have presented in Ewing sarcoma. But of
3 course, as we continue with the development in
4 children, we will proceed with the evaluation in
5 the non-clinical setting. But we have not decided
6 exactly the structure that we will follow to
7 achieve that.

8 DR. MASCARENHAS: I think that's going to be
9 fairly important. The response rate presented in
10 Ewing sarcoma is modest, though the clinical
11 benefit rate as a single agent is a little more
12 impressive. But the management of those patients
13 in pediatrics is managed with combination therapy,
14 so exploring that preclinically will be of
15 importance.

16 DR. SOTO: Yes. In fact, in Ewing sarcoma,
17 we have made an extensive evaluation of the
18 combination with irinotecan. And there is a strong
19 rationale to think that this combination,
20 irinotecan and lurbinectedin, will be very active
21 in Ewing sarcoma. And we are very well advanced in
22 the design and starting of our combination trial,

1 specifically with irinotecan in Ewing sarcoma
2 patients.

3 DR. MASCARENHAS: Any reason to explore the
4 combination with doxorubicin or gemcitabine?

5 DR. SOTO: For the moment, we want to
6 observe hints of activity using single agent. And
7 as I showed in my graph regarding our clinical
8 development plan for pediatric patients, in
9 parallel, we will consider a combination. With
10 doxo, we know that there is a lot of synergism in
11 there with all the topoisomerase inhibitors. So
12 doxo would be a good candidate.

13 Gemcitabine, although we have seen good
14 activity in some tumor types but was not so
15 exciting, let's say, as with doxorubicin, with the
16 rest of drugs provided, we will start doing some
17 preclinical studies before embarking on studies
18 with children.

19 DR. GORLICK: Richard Gorlick, MD Anderson
20 Cancer Center. I have several questions, actually.
21 So extending the question about the similarities
22 and differences between this drug and trabectedin,

1 do you have any preclinical or clinical data to
2 suggest the spectrum of activity rather than the
3 pharmacologic properties are similar or different?

4 DR. SOTO: Are quite similar. In fact, all
5 the studies that we have performed in terms of
6 mechanism of action, in terms of PK in animals, in
7 terms of MTDs achieved in the non-clinical studies,
8 was very similar. Perhaps some difference is in
9 the IC50s that we found for both compounds, but not
10 very meaningful.

11 The main difference came in the clinical
12 arena, where we observed huge differences in the PK
13 profile. And we think that this is driving the
14 differences in toxicity and the differences in the
15 efficacy profile.

16 Today, we don't have any reason to think
17 that there is something more relevant in these
18 differences than the completely different behavior
19 in terms of pharmacology.

20 DR. GORLICK: In a drug which is intended to
21 inhibit transcription factor activity, do you think
22 it is the AUC or the peak dose that's more likely

1 to define efficacy?

2 DR. SOTO: We expect the Cmax may peak.
3 Why? Because with trabectedin, we conducted a
4 phase 2 clinical trial in Ewing sarcoma patients,
5 and there was no activity at all. But in this
6 case, we gave trabectedin in 24 hours, and we have
7 had impressive completed response in a phase 1
8 trial when the drug was given in 3 hours.

9 So one explanation for that finding is
10 precisely that the activity was absent because of
11 the lower peak that we achieved with a 24-hour
12 infusion. Lurbinectedin is administered as a
13 1-hour infusion. And also, as you have seen, the
14 PK is completely different, and we will obtain much
15 higher peaks and much higher AUC. But we think
16 that the peak will be important, especially for
17 some addicted to transcription tumors as Ewing
18 sarcoma.

19 DR. GORLICK: In the context of other
20 malignancies, have you done any comparative studies
21 of schedule at all to begin to decipher whether
22 that's the case?

1 DR. SOTO: We have thought many times. Why?
2 Because we have good activity, and we have asked
3 ourselves what would happen if we gave this drug in
4 24 hours. But we have never decided to move into
5 this complexity as we are having quite good results
6 using the wire infusion. But we will still
7 consider prolonging the duration of the infusion.

8 DR. GORLICK: Do you have any
9 pharmacodynamic measures of efficacy, so anything
10 that you can assess from blood or otherwise as a
11 marker of whether you're achieving what you're
12 looking to achieve?

13 DR. SOTO: Yes. We could develop a model in
14 the phase 2 trial in ovarian cancer with CA 125,
15 the marker that is widely used in ovarian cancer.
16 And we could. In fact, for all this dose
17 modulation that I have mentioned before, these
18 PK/PD models were very useful not only for
19 predicting neutropenia, is the main thing, but also
20 we could develop models that define even PFS
21 course, and we could have this impression of how
22 the dose reduction was going to impact efficacy and

1 safety.

2 DR. GORLICK: My final question, in the
3 Ewing sarcoma adult patient cohort, do you have a
4 pre-existing bar for what you were going to define
5 as efficacy? And do you have any sense of a
6 historical control for what you would expect to see
7 in that patient population?

8 DR. SOTO: Specifically in Ewing sarcoma?

9 DR. GORLICK: Yes.

10 DR. SOTO: We are using RECIST for the
11 evaluation of tumor response, and for the moment,
12 no. So again, as I said before, this is a huge
13 basket trial with up to 300 patients.

14 This is something relatively new, that
15 someone has said that the 18 percent is not very
16 high, but I think that in other patients with some
17 of them heavily pre-treated, I think it's
18 meaningful. We have just discovered this quite
19 recently, and we will start to learn how to measure
20 all of these things specifically in this disease.

21 DR. RAETZ: Elizabeth Raetz, University of
22 Utah. I just have a couple questions related to

1 the leukemia population. I was wondering what the
2 rational was for a little different dosing
3 schedule. It looks like the agent was dosed on
4 days 1 and 8 in that population.

5 DR. SOTO: Yes, because it has been
6 mentioned already in these ODAC meetings before,
7 and it's so difficult to do phase 1 trials in acute
8 leukemia because you don't have time, and
9 especially because you are using single-agent
10 drugs. You can seek something, but in general,
11 these patients are -- the cancer is so aggressive
12 that you don't have time to really evaluate the
13 safety for deciding which will be the dose in this
14 specific population, and the efficacy is very
15 difficult to evaluate.

16 For example, in our trial, I think that we
17 included about 50 patients, adults with acute
18 leukemia. Finally, all of them were myeloid
19 leukemia. And most of them were non-evaluable
20 because they progress in 2 or 3 days, and we
21 observed only 3 patients with some short-lasting,
22 decreasing blasting bone marrow, so we decided to

1 stop.

2 The differences in schedules, we tried many
3 things. As we couldn't deliver even two cycles, we
4 said, okay, let's try day 1 and day 8, and after
5 that, let's try day 1, day 2, and day 3 every
6 something, but none of these strategies worked.
7 Really, we stopped the development at least in that
8 type of leukemia in adults.

9 DR. RAETZ: Then it might have been too
10 early to tell because of the early failures, but
11 just with the hematologic toxicity being a
12 predominant toxicity that's been observed, in a
13 hema malignancy population, did you see issues with
14 infectious complications or unusual toxicity, or
15 was it just too hard to tell?

16 DR. SOTO: Yes, sure. You are talking now
17 specifically of the study in leukemia. Yes. The
18 patients, most of them had to leave the treatment
19 because they progressed very rapidly. But some of
20 them with infections perhaps were even present when
21 you studied very well, carefully, the clinical
22 records, and you realize that really the patient

1 was included with something. And then, of course,
2 lurbinectedin didn't help to protect the patient
3 against infections.

4 DR. DuBOIS: Two follow-up questions.
5 Related to Dr. Gorlick's question about
6 pharmacodynamic markers, it seems to me that the
7 Ewing's cohort in adults is a nice opportunity to
8 validate the preclinical data that you shared
9 related to the degradation of EWS-FLI. And I
10 wonder if you have paired biopsies embedded within
11 that ongoing trial.

12 DR. SOTO: Paired biopsies at the beginning?

13 DR. DuBOIS: Paired pre- and post-treatment.

14 DR. SOTO: This is really very difficult.
15 And, again, the specifics of that trial didn't
16 allow us to be so exclusive. But we will consider,
17 but sometimes it's difficult to get these type of
18 aggressive studies approved. Sometimes the
19 patients are reluctant to this sequential attention
20 of biopsies. But of course, we will try when we
21 start to work with specific sites dedicated to
22 doing sarcoma, especially in children.

1 DR. DuBOIS: You mentioned in the briefing
2 book a combination trial with olaparib, which is
3 another agent of interest in Ewing sarcoma. Are
4 you able to share any data about how that trial is
5 going?

6 DR. SOTO: Yes. This is also a trial that
7 is not sponsored by Pharma Mar. But what I know is
8 that the trial has completed, the phase 1 part. We
9 have the right dose for the combination, and the
10 phase 2, where we will check really the efficacy,
11 has just started a few weeks ago.

12 DR. DuBOIS: [Inaudible - off mic].

13 DR. SOTO: Yes, many no volume, and some
14 other indications.

15 DR. MacDONALD: Tobey MacDonald, Emory
16 University. It appears from the data that your
17 best responses are in tumors that express oncogenic
18 drivers. For the pediatric trial, do you plan to
19 capture the molecular information? Because it
20 could be that it's not histologically specific, but
21 more molecularly specific in terms of response.

22 DR. SOTO: Yes, we will try. In all the

1 patients that we can obtain a biopsy, we will do
2 that.

3 DR. ARNDT: I'm just curious, in the
4 combination studies of doxorubicin and this agent,
5 what was the dose of doxorubicin?

6 DR. SOTO: Initially 50 and finally
7 40 milligrams per square meter.

8 DR. MORROW: You mentioned concerns about
9 dose delay and dose reduction, so I just wanted to
10 ask, in your complete responses, partial responses,
11 et cetera, did you see any correlation with the
12 dose of either your drug or the concomitant
13 medication in terms of depth or duration response?

14 DR. SOTO: We have all the characteristics
15 here, so we have patients that were very long-
16 lasting responses that were treated with an initial
17 lurbinectedin dose, in cases where we reduced the
18 dose, and still the efficacy was there; and as I
19 mentioned before, cases where we had to stop.

20 For example, we stopped development not only
21 in doxo because of the cumulative toxicity but also
22 in the paclitaxel combination study. And then we

1 continued with lurbinectedin alone, and there were
2 a significant number of patients that still
3 remained in response.

4 DR. MORROW: And with your CNS response, was
5 that monotherapy or combo therapy?

6 DR. SOTO: Combo with doxo.

7 MS. PREUSSE: Courtney Preusse, Fred Hutch.
8 Under potential challenges, you list liver
9 maturation, and paclitaxel, cisplatin, and
10 irinotecan in the literature show adverse effects
11 on the liver, liver damage that is suspected to be
12 associated with chemo administration.

13 So I'm curious, in a pediatric patient
14 population, where I assume liver maturation means
15 that the liver is still maturing until adulthood,
16 how do you proposed to address that in combination
17 therapy?

18 DR. SOTO: Initially, we will start with not
19 so young children, so we will start from older than
20 2 years. And we will incorporate the information
21 that we will obtain in PBPK models, physiological
22 base pharmacokinetic models. And we'll have a lot

1 of information in around 2-year-old children, and
2 then we'll try to be very conservative, taking this
3 into account that, of course, children lower than
4 2 years can have more problems regarding the liver
5 toxicity. Not only that, but also in the
6 metabolism of the drug, that can put the kid at
7 risk, for example, other toxicities, not only the
8 liver.

9 DR. REAMAN: Just a couple of questions.
10 You mentioned the proposed adult Ewing studies with
11 the combination of lurbinectedin and irinotecan.
12 Do you have plans to enroll patients below the age
13 of 18 on that study?

14 DR. SOTO: This study will be conducted in
15 the U.S., and we will include patients up to 16, so
16 from 16 -- from 16. Sorry.

17 DR. REAMAN: Is there a reason for 16? We
18 would encourage the enrollment of adolescents on
19 appropriate disease-focused or targeted therapy
20 trials. When the disease occurs in both the
21 pediatric and adult populations, our experience is
22 that the PK in 12 to 18-year-olds of many agents,

1 and including some classical cytotoxic agents, is
2 no different than the adult population. So this
3 may be one way of getting some early experience in
4 the population that's most at risk for developing
5 this disease.

6 DR. SOTO: Our understanding is that we
7 should first test the drug single agent in children
8 and then to try combinations. But if you really
9 recommend us to go directly to a combination in
10 children or in the pediatric population, this is
11 something that can be considered.

12 We thought that the other way was more
13 rational, but if you have experience, you have
14 examples of cases where the investigations in
15 children started with combination, we could
16 consider it.

17 DR. REAMAN: It's clearly safer. There's
18 plenty of pediatric and specifically adolescent
19 experience with irinotecan. There's not with
20 lurbinectedin, but you've provided single-agent
21 toxicity data, which doesn't look outrageous. So
22 it would be something to consider as you move

1 forward.

2 DR. SOTO: So as I said before, we are
3 discussing right now the design of this combination
4 trial, so we will incorporate this in the
5 discussion.

6 DR. REAMAN: In that trial, there was the
7 question before about possibly looking at serial
8 biopsies. And I know that's problematic. It's
9 particularly problematic in the pediatric
10 population. But would you consider looking at
11 circulating tumor cells or circulating free-tumor
12 DNA as a way to evaluate efficacy, particularly in
13 Ewing's?

14 DR. SOTO: In this trial, we will try to
15 obtain the second biopsy to check -- to be sure of
16 the rationale, we have to start trying -- this
17 combination works in the patient. And the issue
18 regarding CTC and circulating DNA, sometimes we
19 have considered -- we from time to time discuss the
20 convenience of a study in this arena, but the
21 attempts that we have made were complex to
22 implement in reality.

1 DR. REAMAN: Then one other question. This
2 is a naturally occurring product. So what is the
3 thought about the potential role of multi-drug
4 resistance and particularly as you start looking in
5 phase 2 for efficacy signals in previously heavily
6 pre-treated patients that have multiple natural
7 agents?

8 DR. SOTO: What we know is that the drug is
9 very active after platinum, which is sometimes very
10 challenging for many drugs. So this drug is a
11 substrate of P-gp, and this can be one of the
12 mechanisms of resistance.

13 If there is gross resistance in the regard
14 you have mentioned, it could be, but even that this
15 can be a possibility, we think that the activity
16 that we are observing in heavily pre-treated
17 patients is indicating that perhaps this mechanism
18 is not acting at least at a high level.

19 DR. REAMAN: Thanks.

20 DR. WEIGEL: Brenda Weigel, University of
21 Minnesota. I just want to comment a little bit on
22 the very young patients. And I appreciate the

1 caution in going into the very young patients.
2 Typically in a relapse setting, it is going to be
3 incredibly unusual to have a patient much under 2.
4 You may get an odd patient in the 1- to 2-year
5 range. It's almost unheard of to have a patient in
6 the relapsed clinical trial setting less than a
7 year of age.

8 So to commit to an investigational plan that
9 would mandate studying those very young children in
10 any large numbers is actually not really a feasible
11 thing to do. And an approach that has worked in
12 some studies is once you establish a recommended
13 dose or a safe dose level, allow enrollment with
14 very, very careful safety monitoring of children
15 kind of 6 months and over.

16 The liver metabolism issues are really an
17 issue of the very, very young children, the really
18 small neonates. By about 6 months if you're doing
19 meter-squared dosing, you're pretty okay, and you
20 build in very careful hepatotoxicity monitoring for
21 that patient population. I think then you allow
22 yourself to collect some of that very important

1 data should a patient like that arise, however, not
2 being bound to studying it in any way, shape, or
3 form with large patient numbers because they just
4 don't exist.

5 But I think it's very reasonable to be
6 cautious, but I wouldn't suggest making specific
7 plans to separately study those patients, and
8 certainly wouldn't think of a different dosing
9 schedule or regimen for those patients.

10 DR. SOTO: Thank you.

11 DR. PAPPO: Any additional questions?

12 Brenda?

13 DR. WEIGEL: I'm going to completely switch
14 gears now and talk a little bit about optimization
15 of the agent. You alluded to some solubility
16 concerns, some delivery concerns of volume. What
17 is your timeline for the development of
18 optimization of some of those issues, and do you
19 think that will be rate-limiting in any way?

20 DR. SOTO: We are working on that. We can't
21 say when we will finish, but we are working now on
22 the improvement of the formulation for trying to

1 work out it to children. But we have not defined
2 timelines yet.

3 DR. TESH: Any additional questions,
4 Alberto?

5 DR. REAMAN: Just to follow up on that, do
6 you see having that optimization of the intravenous
7 formulation completed before you start pediatric
8 studies?

9 DR. SOTO: No, because as we will establish
10 relatively big, we think before 2 years of age, we
11 can have problems in this regard, but if more than
12 2 years, probably this is not going to be so
13 relevant. So we are not going to wait until we
14 have this optimization.

15 DR. REAMAN: Thanks.

16 MS. LUDWINSKI: Donna Ludwinski. I had a
17 quick question about your phase 1 that's in
18 combination with irinotecan. It looks like it only
19 began a year ago, so possibly not that many
20 patients have enrolled, but the tumor types that
21 are listed there, it looks like Ewing sarcoma would
22 be included. But I was just curious because the

1 trial does not allow prior treatment with
2 irinotecan and topotecan.

3 What are you going to learn from that in the
4 relapse setting if many of these tumor types are
5 treated with that up front?

6 DR. SOTO: We have two trials in combination
7 with irinotecan. The first one is ongoing, and we
8 are very close to define the recommended dose. And
9 even after that, after we have the recommended dose
10 with initial dose escalation schedule, we will try
11 to change the order of this escalation because in
12 the beginning, we fixed the irinotecan dose, and we
13 started to increase the lurbinectedin dose. But
14 now that we have the recommended dose with this
15 escalation method, we will change, and we will fix
16 the lurbinectedin dose, and we'll start from very
17 low doses of irinotecan.

18 This trial is open for many, many tumor
19 types. For example -- and we have had good
20 activity in some of them. And we will use this
21 information to better plan the trial that I
22 mentioned before, more oriented to the Ewing

1 sarcoma population.

2 DR. PAPP0: Any questions?

3 (No response.)

4 **Questions to the Subcommittee and Discussion**

5 DR. PAPP0: Thank you very much. We will
6 now proceed with the questions to the committee and
7 panel discussions. I would like to remind public
8 observers that while this meeting is open for
9 public observation, public attendees may not
10 participate except at the specific request of the
11 panel. We will start with the first question.

12 DR. KRAUSS: Please discuss the preliminary
13 pediatric development plan, including the tumor
14 type proposed for further study. In addition,
15 please include considerations regarding a targeted
16 approach based on bio or other markers versus one
17 that's broader in scope.

18 DR. MASCARENHAS: There's data lacking here
19 other than with Ewing sarcoma, and I would
20 encourage generation of more preclinical data with
21 regards to pediatric-specific tumors.

22 DR. PAPP0: I forgot to say there's no OPH.

1 I'm sorry. Steve?

2 DR. DuBOIS: My concern about the design is
3 that it calls for essentially a second phase 2
4 study in, quote, "pediatric Ewing sarcoma" as a
5 monotherapy experience. You already will have
6 completed a, quote, "adult Ewing sarcoma phase 2,"
7 and I'm not sure I see the value in a second
8 phase 2 of the exact same regimen in what I think
9 most of us view as the exact same disease, whether
10 the patient happens to be above or below 18 years
11 of age.

12 So that would be my concern about, in a very
13 rare disease, essentially duplicating a trial.

14 DR. PAPPO: Tobey?

15 DR. MacDONALD: I would just like to
16 reiterate that I would strongly recommend doing
17 some sort of tumor and/or germline sequencing
18 panels to address what Leo was talking about in
19 terms of are there histologies versus molecular
20 profiles that more readily will respond to this
21 drug.

22 DR. GORLICK: Richard Gorlick. It's clear

1 that this class of drug, meaning trabectedin versus
2 lurbinectedin, has activity in malignancies. I
3 think the issue is really understanding, from the
4 mechanism of action, whether this is sort of a non-
5 specific cytotoxic or it's a targeted agent to
6 things with specific transcription-factored
7 arrangements.

8 Then from there, starting to understand if
9 it's targeting specific translocations, which seem
10 to be the case for trabectedin, really, what's the
11 spectrum of what defines activity and not.
12 Certainly, if you're going to perform a study in
13 the context of Ewing sarcoma, you're going to have
14 to tighten up your definition of what Ewing sarcoma
15 is.

16 In the field, active areas of debate are
17 things like Ewing-like sarcomas. And the question
18 is really, do we think this is going to affect the
19 sort of P-COR [ph] or the CIC ducts for
20 translocations associated with Ewing-like sarcoma.,
21 or is this specifically going to be EWS-FLI?

22 If we go beyond that as a statement, in

1 pediatrics, there are things like synovial sarcoma
2 in the non-rhabdo soft-tissue sarcoma group. And
3 you can imagine including the SYT-SSX
4 translocation-associated if you believe in aberrant
5 translocation factor drives it.

6 That starts the discussion if this is
7 transcription-factor driven. Obviously, there are
8 translocations driving transcription factors in the
9 context of malignancies other than sarcoma. If you
10 believe it's just the cytotoxic, then obviously
11 that sort of opens the door to everything that
12 isn't associated with recurrent translocation, but
13 it's kind of understanding the biology of what
14 drives activity. That should bound the scope of
15 it.

16 I would not be overly influenced by efficacy
17 in the context of an adult Ewing sarcoma trial.
18 And I think some of the question is, really, we
19 know Ewing sarcoma is a chemo-responsive disease.
20 If there was a mixture of sarcomas that were less
21 chemo-responsive, then the reason they may be
22 seeing activity in the subgroup is just reflecting

1 the general chemosensitivity of this as a
2 histologic subtype.

3 So I think they need to think more broadly
4 about what groups this drug may work against and
5 what tumor types should be included.

6 DR. PAPPO: Any additional questions or
7 comments? Carola?

8 DR. ARNDT: I guess I'd also think about,
9 echoing what Steve said, doing another study in a
10 Ewing's patient population as a single agent and
11 how are we going to use this drug going forward.
12 Is it really only going to be used as a single
13 agent? Doubt it. So why not do this drug in
14 combination with something else?

15 I'm a little bit concerned that the dose of
16 doxorubicin that was used in the studies was only
17 40 per meter squared, whereas we're used to using
18 75 milligrams per meter squared. So single agent
19 versus multi-agent is something that should be
20 considered.

21 DR. PAPPO: Any other questions or comments?

22 (No response.)

1 DR. PAPPO: So I'm going to try to summarize
2 this. I think that it's important to get a broader
3 spectrum of preclinical data. My own opinion would
4 be, why are we choosing neuroblastoma? For
5 example, there's absolutely zero evidence that was
6 provided to us. And like Steve said earlier, there
7 was no data provided of any kind of MYC-driven
8 adult tumors to substantiate the use of this drug
9 in neuroblastoma.

10 Number two, to be very, very thoughtful as
11 to how you want to design another pediatric trial
12 in Ewing's because, pretty much, you currently have
13 an ongoing trial that is demonstrating some
14 efficacy. I think that it will be interesting to
15 note, to sort out which are the responders.

16 So I think that in future studies, it will
17 be very important to either restrict enrollment of
18 patients with a known translocation or to be sure
19 that there is molecular data on all of these
20 patients, so retrospectively you can say these are
21 the patients that responded or not. That would be
22 like a proof of principle that, indeed, this

1 activation of transcription factors is responsible
2 for the response to the drug.

3 The other thing that has been said
4 repeatedly is, is it worthwhile to continue to
5 explore single-agent activity. And the other issue
6 that was brought up earlier in the question and
7 answer session to the sponsor was, there is already
8 evidence that there is activity with irinotecan and
9 this agent, and it would be worthwhile considering
10 lowering the age enrollment for these patients to
11 12 or higher.

12 Did I miss anything or anything else I
13 should add? Yes?

14 DR. DuBOIS: Just that the latter approach
15 of extending an adult trial down to age 12 in
16 combination with irinotecan does not necessarily
17 preclude the need for a monotherapy pediatric trial
18 in a broader set of indications and a broader set
19 of pediatric ages.

20 DR. PAPP0: Correct. Thank you.

21 We will now proceed with question number 2.

22 DR. KRAUSS: Given the mechanism of action

1 of lurbinectedin, please consider other pediatric
2 tumor types for which there is a biologic rationale
3 for evaluation of its activity. Address any
4 differences in biology between adult and pediatric
5 hematologic malignancies that might support its
6 evaluation of pediatric diseases for which its
7 activity in adults has been limited.

8 DR. MASCARENHAS: I think Richard Gorlick
9 discussed this with the previous question, really
10 looking at translocation-driven malignancies
11 potentially in a variety of sarcomas. In
12 hematologic malignancies, I don't know I saw any
13 data nor any rationale -- even with comparison to
14 what is known about trabectedin, that there would
15 be any rationale to study.

16 DR. RAETZ: Elizabeth Raetz. I think in the
17 hema malignancy, one of the challenges is there
18 really hasn't been successful trials with a single
19 agent. And I think that's been the experience
20 that's been observed so far in adults.

21 So I think, just as a similar theme, that
22 combination studies would probably need to be

1 designed preclinically and would potentially run
2 into the issue of overlapping hematologic toxicity.
3 So there may be some challenges there, so maybe
4 even perhaps combinations with other targeted
5 agents could be considered if you wanted to move
6 this forward in the hema malignancy space.

7 DR. DuBOIS: I think a potentially
8 interesting clinical and scientific question may be
9 whether some of the findings observed to date, both
10 in the laboratory and in the clinical in Ewing
11 sarcoma, might translate to other EWS-R1
12 translocated tumors such as clear-cell sarcoma or
13 desmoplastic's Moran cell tumor.

14 So the sponsor might consider how to
15 evaluate those other diseases that remain areas of
16 significant unmet medical need.

17 DR. GORLICK: So it's a little tangential to
18 how the question is phrased, but I think what has
19 to be considered as well is the schedule of
20 administration of this particular drug. I think
21 there is data suggesting it's the peak dose of
22 trabectedin that defines activity, but that would

1 be unusual for something that inhibits
2 transcription. So as a class effect, you would
3 expect the duration of exposure.

4 Clearly, the question -- big difference
5 between these two agents, meaning trabectedin and
6 this, is the biological half-life in the achievable
7 peak dose. I think they need to think about
8 investigating why that is.

9 DR. PAPPO: Any additional questions or
10 comments?

11 (No response.)

12 DR. PAPPO: I will try to summarize this
13 discussion. So something that has been previously
14 said is to investigate is the response of this
15 agent really translocation driven or not. It is
16 also suggested that the sponsor investigate other
17 histologies that have a fusion; for example,
18 synovial sarcoma or other histologies that have
19 EWS-FLI infusions like clear-cell sarcoma and
20 desmoplastic's Moran cell tumor.

21 Also, it will be important to better
22 determine what is the optimal schedule of

1 administration given the presumptive mechanism of
2 action that has been presented. Then as it relates
3 to leukemia, we strongly recommend that there
4 should be a trial with a combination therapy and
5 perhaps even using targeted agents coupled with
6 this drug to assess the efficacy of this agent in
7 recurrent leukemia.

8 Anything else?

9 DR. DuBOIS: Did we strongly recommend a
10 leukemia trial?

11 DR. PAPPO: We did not, but if you're going
12 to pursue that --

13 DR. DuBOIS: If you're going to pursue a
14 trial --

15 DR. PAPPO: Yes. This has to be --

16 DR. DuBOIS: -- do it in combination. Fine.

17 DR. PAPPO: No, no. I'm not saying that we
18 should do it.

19 DR. DuBOIS: Okay. Fine.

20 DR. PAPPO: If you're going to pursue a
21 clinical trial in leukemia, you should -- sorry.

22 Anything else I missed?

1 DR. REAMAN: No. One other tumor that we
2 might want to think about is rhabdo, alveolar
3 rhabdo.

4 DR. PAPPO: Right, of course.

5 DR. REAMAN: It's certainly much more
6 common.

7 DR. PAPPO: 213, 113.

8 DR. REAMAN: We've discussed the paucity of
9 targeted drugs for pediatric tumors because of the
10 lack of development of agents for specific fusion
11 protein.

12 So this might be an opportunity to actually
13 do a basket trial or some master protocol that is
14 specifically evaluating translocations and specific
15 fusions, irrespective of histology, look. And then
16 you would have some more biological information to
17 provide a real direction as far as development in
18 the pediatric age group.

19 DR. PAPPO: There was actually a specific
20 trial for translocation-positive sarcomas. So
21 perhaps something similar to that, if you believe
22 that that's the driving mechanism, is something

1 that you would investigate.

2 DR. MacDONALD: I'd just add, prior to a
3 trial, it's relatively straightforward to just do a
4 preclinical drug screen using multiple cell lines
5 with a variety of translocations and/or aberrations
6 that drive transcription to get quickly at some of
7 those targets.

8 DR. MASCARENHAS: Given the potential
9 proposed mechanism of action, whether we believe it
10 or not, others downstream targets of EWS-FLI1
11 agents could be explored in preclinical data, for
12 example, POP 1 inhibition, together with this
13 agent.

14 DR. PAPPO: We will now proceed to question
15 number 3.

16 DR. KRAUSS: Please discuss the impact of
17 low CNS in testicular penetration of lurbinectedin
18 in tissue distribution studies and potential areas
19 of study in the pediatric population.

20 DR. MASCARENHAS: I think the only relevance
21 is for hematologic malignancies, so that should be
22 taken into consideration.

1 DR. WEIGEL: I think the statements were
2 made, but I'm not sure that we have compelling
3 evidence towards a lack of CNS penetration, given
4 there's been a documented response and it's a
5 disrupted blood-brain barrier. I think it's pretty
6 controversial. And I'm not sure what low means.

7 DR. PAPPO: Any other comments? Greg?

8 DR. REAMAN: So would there be a particular
9 interest in evaluating this in a primary CNS tumor
10 setting, I think was part of the thinking behind
11 this, probably more than the metastatic tumor
12 setting.

13 DR. WEIGEL: I guess I would say that would
14 get back to some of the comments Tobey's made, and
15 based on the biology, and then being able to look
16 at preclinical studies to ascertain whether that
17 would be something worth investigating. But I
18 think if the preclinical data supported it, I'm not
19 sure we were provided with evidence to say that we
20 shouldn't study it.

21 DR. REAMAN: Right.

22 DR. PAPPO: Any other comments or questions

1 regarding this question?

2 (No response.)

3 DR. PAPPO: So I'm going to try to summarize
4 this. On the one hand, the relatively low
5 penetration in the CNS and testicle might be an
6 issue for hematologic malignancies. However, we
7 already heard from the presentation that there was
8 a patient that had a response in the setting of CNS
9 disease.

10 So we need to better clarify really what low
11 means and perhaps if in a screen there is a
12 potential for using this drug within the setting of
13 CNS tumors, that should be considered.

14 Any additional?

15 (No response.)

16 DR. PAPPO: We'll move to question number 4.

17 DR. KRAUSS: Please address any potential
18 safety concerns unique to the pediatric population,
19 including consideration as to whether any pediatric
20 age groups should be excluded from study.

21 DR. WEIGEL: I think the data was presented
22 very clearly for the single agent, that this is

1 really a myelotoxic drug. One of the concerns I
2 have that I would encourage very thoughtful
3 consideration is there's quite variable PK.
4 Dr. Gorlick has mentioned that it may be the peak,
5 but also based biologically, we want to see an
6 extended exposure.

7 The comments were made that about 40 percent
8 of patients require a dose reduction to sustain
9 dosing of this agent as a single agent, and that
10 may be very important information to do extended
11 cycle PKs, to really understand the use of the
12 agent.

13 I also think very careful attention needs to
14 be paid to understanding the pharmacokinetics and
15 exposures of combinations. And I do not think that
16 we necessarily should exclude particular patients.
17 However, I think we need to really design those
18 studies to learn as much information as possible
19 about the drug metabolism and exposures required to
20 get the desired effects.

21 DR. DuBOIS: I missed the concern about the
22 drug formulation here. In the briefing book,

1 there's mention of concern of the volume, the fixed
2 volume of 100 mLs, but administered over 1 hour
3 even to a 10-kilogram baby, which would be the
4 average weight of a 12-month-old, that's not a
5 clinical concern from my perspective.

6 There's mention of lactate in the product,
7 and I would think maybe if there's enough of that
8 in a very small infant, that that could potentially
9 lead to issues with metabolic acidosis, but that
10 would have to be a very large amount of lactate.

11 So in terms of the formulation issues, I
12 didn't have particular concerns, given that the
13 initial age proposed was going to be 2 years and up
14 anyway.

15 DR. REAMAN: I think the real reason for
16 this question was to generate the discussion that
17 we've actually already had about the concern and
18 the over- perhaps protective stance of enrolling
19 patients after 2 years of age when we don't see any
20 real physiologic reason for doing so.

21 DR. PAPPO: Any other comments or questions
22 just regarding this question?

1 (No response.)

2 DR. PAPP0: If I could summarize this, the
3 data presented on toxicity was very well presented.
4 It appears that the main toxicity is myelotoxicity
5 and also transaminase, transaminitis. It's
6 important to explore different schedules and to
7 better delineate the PK of this agent with extended
8 exposure studies, since approximately 40 percent of
9 the patients with single agent had to have a dose
10 reduction with this agent.

11 There were no significant concerns regarding
12 the formulation. And the amount of fluid that
13 needs to be mixed with 100 mLs is really not a
14 concern in the age population that we're going to
15 be studying.

16 I think that that's the main things. Did I
17 miss anything?

18 MS. PREUSSE: One comment. Without
19 additional data on the AEs, adverse
20 events -- further studies really need to elucidate
21 what those AEs are with this drug in combination
22 with others.

1 DR. PAPPO: So for the characterization of
2 adverse events in combination with other agents
3 that potentially would be used to treat pediatric
4 cancers. Anything else?

5 (No response.)

6 **Adjournment**

7 DR. PAPPO: We will now break for lunch, and
8 we will reconvene in this room at 1:10, 10 minutes
9 past 1:00. Panel members, please remember that
10 there should be no discussion of the meeting topic
11 during lunch, among yourselves, or with any other
12 member of the audience. Thank you very much.

13 (Whereupon, at 11:47 a.m., the session was
14 adjourned.)

15
16
17
18
19
20
21
22