

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH  
  
PEDIATRIC SUBCOMMITTEE OF THE  
ONCOLOGIC DRUGS ADVISORY COMMITTEE MEETING

Topic 1

Thursday, June 22, 2017

8:00 a.m. to 10:03 a.m.

FDA White Oak Campus  
The Great Room  
10903 New Hampshire Avenue  
Silver Spring, Maryland

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Lauren Tesh, PharmD, BCPS**

4 Division of Advisory Committee and Consultant

5 Management

6 Office of Executive Programs, CDER, FDA

7

8 **ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)**

9 **Alberto S. Pappo, MD**

10 *(Chairperson, pedsODAC)*

11 Member and Head, Division of Solid Malignancies

12 St Jude Children's Research Hospital

13 Professor of Pediatrics

14 University of Tennessee Health Science Center

15 Memphis, Tennessee

16

17 **Courtney J. Preusse, MA**

18 *(Consumer Representative)*

19 Senior Research Administrator and CLIA Operations

20 Director

21 Fred Hutchinson Cancer Research Center

22 Seattle, Washington

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Bruce J. Roth, MD**

Department of Internal Medicine

Division of Medical Oncology

St. Louis, Missouri

**ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS**

**(Non-Voting)**

**Phuong Khanh (P.K.) Morrow, MD, FACP**

*(Industry Representative)*

Executive Medical Director, Amgen Oncology

Therapeutic Area Head, US Medical Organization

One Amgen Center Drive

Thousand Oaks, California

1       **TEMPORARY MEMBERS (Voting)**

2       **Anne L. Angiolillo, MD**

3       *(Participation in Day 1, Topic 3, Participation in*  
4       *Day 2)*

5       Director, Leukemia & Lymphoma Program

6       Division of Oncology

7       Center for Cancer and Blood Disorders

8       Children's National Medical Center

9       Professor of Pediatrics

10      The George Washington University School of

11      Medicine and Health Sciences

12      Washington, District of Columbia

13

14      **Carola A. S. Arndt, MD**

15      Professor of Pediatrics and Consultant

16      Division of Pediatric Hematology/Oncology

17      Department of Pediatric and Adolescent Medicine

18      Mayo Clinic College of Medicine and Science

19      Rochester, Minnesota

20

21

22

1     **Richard G. Gorlick, MD**

2     Division Head and Chair, Pediatrics

3     Robert A. Mosbacher Chair of Pediatrics

4     The University of Texas MD Anderson

5     Children's Cancer Hospital

6     Houston, Texas

7

8     **Leo Mascarenhas, MD, MS**

9     Deputy Director

10    Children's Center for Cancer and Blood Diseases

11    Section Head - Oncology, Associate Professor of

12    Pediatrics

13    Division of Hematology, Oncology, and Blood and

14    Marrow Transplantation

15    Children's Hospital Los Angeles

16    Keck School of Medicine, University of Southern

17    California

18    Los Angeles, California

19

20

21

22

1     **Elizabeth A. Raetz, MD**

2     Professor of Pediatrics

3     Medical Director, Leukemia and Lymphoma

4     Program

5     Pediatric Hematology/Oncology

6     University of Utah

7     Huntsman Cancer Institute

8     Primary Children's Hospital

9     Salt Lake City, Utah

10

11    **Brenda J. Weigel, MD, MSc**

12    Professor

13    Division Director, Pediatric Hematology/Oncology

14    University of Minnesota

15    Developmental Therapeutics Chair

16    Children's Oncology Group

17    Minneapolis, Minnesota

18

19

20

21

22

1       **FDA PARTICIPANTS (Non-Voting)**

2       **Gregory Reaman, MD**

3       Associate Office Director

4       Associate Director for Pediatric Oncology

5       Oncology Center of Excellence

6       Office of Hematology and Oncology

7       Products (OHOP)

8       Office of New Drugs (OND), CDER, FDA

9

10       **Leslie Doros, MD**

11       *(Participation in Day 2, Topic 1)*

12       Medical Officer

13       DOP2, OHOP, OND, CDER, FDA

14

15

16

17

18

19

20

21

22

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Alberto Pappo, MD	9
5	FDA Introductory Remarks	
6	Gregory Reaman, MD	11
7	<b>Topic 1: Olaratumab</b>	
8	Conflict of Interest Statement	
9	Lauren Tesh, PharmD, BCPS	13
10	<b>Industry Presentations - Eli Lilly</b>	
11	Lartruvo (Olaratumab) in Advanced	
12	Soft Tissue Sarcoma	
13	Introduction	
14	Soft Tissue Sarcoma	
15	Allen Melemed, MD, MBA	20
16	Olaratumab Development in Adults &	
17	Pediatrics	
18	Volker Wacheck, MD	22
19	Clarifying Questions from Subcommittee	44
20	Questions to the Subcommittee and Discussion	76
21	Adjournment	113
22		

P R O C E E D I N G S

(8:00 a.m.)

**Call to Order**

**Introduction of Committee**

1 DR. PAPPO: Good morning, everybody. I  
2 would first like to remind everyone to please  
3 silence your cell phones, smartphones, and any  
4 other devices if you have not already done so. I  
5 would also like to identify the FDA press contact,  
6 Angela Stark. If you are present, please stand.  
7  
8

9 I would now like for all the members,  
10 consultants, FDA panel, and DFO to go around the  
11 table and state their name for the record.  
12

13 DR. MORROW: P.K. Morrow, medical  
14 oncologist, employed by Amgen.  
15

16 DR. GORLICK: Richard Gorlick, pediatric  
17 oncologist at M.D. Anderson Cancer Center.  
18

19 DR. MacDONALD: Tobey MacDonald, pediatric  
20 neurooncologist, Children's Healthcare of Atlanta  
21 and Emory University.  
22

MS. LUDWINSKI: Donna Ludwinski, research  
program advisor for Solving Kids' Cancer.

1 MS. PREUSSE: Courtney Preusse, operations  
2 director, Fred Hutch, and consumer rep.

3 DR. ROTH: Bruce Roth. I'm a medical  
4 oncologist from Washington University in St. Louis  
5 and chair of the parent committee.

6 DR. PAPP0: Alberto Pappo, pediatric  
7 oncology, St. Jude Children's Hospital in Memphis  
8 and chairperson of the Pediatric Subcommittee of  
9 the Oncologic Drugs Advisory Committee.

10 DR. TESH: Lauren Tesh, designated federal  
11 officer.

12 DR. MASCARENHAS: Leo Mascarenhas, pediatric  
13 oncologist, Children's Hospital, Los Angeles, and  
14 the University of Southern California.

15 DR. ARNDT: Carola Arndt, pediatric  
16 oncologist, Mayo Clinic.

17 DR. RAETZ: Elizabeth Raetz, pediatric  
18 oncologist, University of Utah.

19 DR. WEIGEL: Brenda Weigel, pediatric  
20 oncologist, University of Minnesota.

21 DR. DOROS: Leslie Doros, medical officer,  
22 FDA.

1 DR. REAMAN: Gregory Reaman, FDA.

2 DR. PAPPO: We will now proceed with opening  
3 remarks from Dr. Greg Reaman.

4 **FDA Introductory Remarks**

5 DR. REAMAN: I just welcome our advisors  
6 back for day 2 of this meeting, which, again as I  
7 explained yesterday, is really envisioned as an  
8 opportunity for an interactive discussion between  
9 advisors, industry, and the agency to gauge the  
10 level of interest and to evaluate any existing  
11 evidence on perhaps developing specific products  
12 for pediatric oncology indications.

13 We're doing this to really maximize our  
14 authority under the Best Pharmaceuticals for  
15 Children Act since the mandate provided by the  
16 Pediatric Research Equity Act really doesn't  
17 pertain to oncology drugs. And we are trying to  
18 issue written requests, when appropriate, as early  
19 as possible rather than waiting until years after a  
20 product is approved to begin pediatric  
21 investigations.

22 So these are commitments between the agency

1 and sponsors to develop drugs along the lines of  
2 specifically outlined protocols. The agreements  
3 can be amended should preliminary studies  
4 demonstrate that there's no reason to further  
5 develop a specific product, and we feel that these  
6 early discussions have enabled us to, number one,  
7 issue more written requests and to issue them much  
8 earlier in a product's development timeline.

9 So I'd like to also acknowledge Eli Lilly  
10 for accepting our invitation to discuss two  
11 potentially relevant and interesting products this  
12 morning. Thanks.

13 DR. PAPPO: Thank you, Dr. Reaman.

14 For topics such as those being discussed at  
15 today's meeting, there are often a variety of  
16 opinions, some of which are quite strongly held.  
17 Our goal is that today's meeting will be a fair and  
18 open forum for discussion of these issues and that  
19 individuals can express their views without  
20 interruption. Thus, as a general reminder,  
21 individuals will be allowed to speak into the  
22 record only if recognized by the chairperson. We

1 look forward to a productive meeting.

2 In the spirit of the Federal Advisory  
3 Committee Act and the Government in the Sunshine  
4 Act, we ask that the advisory committee members  
5 take care that their conversations about the topics  
6 at hand take place in the open forum of the  
7 meeting.

8 We are aware that members of the media are  
9 anxious to speak with the FDA about these  
10 proceedings. However, the FDA will refrain from  
11 discussing the details of this meeting with the  
12 media until its conclusion. Also, the committee is  
13 reminded to please refrain from discussing the  
14 meeting topics during breaks or lunch. Thank you.

15 We will now proceed with topic number 1,  
16 olaratumab from Eli Lilly and Company. Dr. Lauren  
17 Tesh will read the conflict of interest statement  
18 for this session.

19 **Conflict of Interest Statement**

20 DR. TESH: The Food and Drug Administration  
21 is convening today's meeting of the pediatric  
22 subcommittee of the Oncologic Drugs Advisory

1 Committee under the authority of the Federal  
2 Advisory Committee Act of 1972. With the exception  
3 of the industry representative, all members and  
4 temporary voting members of the committee are  
5 special government employees or regular federal  
6 employees from other agencies and are subject to  
7 federal conflict of interest laws and regulations.

8 The following information on the status of  
9 this committee's compliance with the federal ethics  
10 and conflict of interest laws, covered by but not  
11 limited to those found at 18 U.S.C. Section 208, is  
12 being provided to participants in today's meeting  
13 and to the public. FDA has determined that members  
14 and temporary voting members of this committee are  
15 in compliance with federal ethics and conflict of  
16 interest laws.

17 Under 18 U.S.C. Section 208, Congress has  
18 authorized FDA to grant waivers to special  
19 government employees and regular federal employees  
20 who have potential financial conflicts when it is  
21 determined that the agency's need for a special  
22 government employee's services outweighs his or her

1 potential financial conflict of interest, or when  
2 the interest of a regular federal employee is not  
3 so substantial as to be deemed likely to affect the  
4 integrity of the services which the government may  
5 expect from the employee.

6 Related to the discussion of today's  
7 meeting, members and temporary voting members of  
8 the committee have been screened for potential  
9 financial conflicts of interest of their own, as  
10 well as those imputed to them, including those of  
11 their spouses or minor children, and for purposes  
12 of 18 U.S.C. Section 208, their employers. These  
13 interests may include investments, consulting,  
14 expert witness testimony, contracts, grants,  
15 CRADAs, teaching, speaking, writing, patents and  
16 royalties, and primary employment.

17 This session's agenda involves information  
18 to gauge investigator interest in exploring  
19 potential pediatric development plans for two  
20 products in various stages of development for adult  
21 cancer indications. The subcommittee will consider  
22 and discuss issues concerning diseases to be

1 studied, patient populations to be included, and  
2 possible study designs of the development of these  
3 products for pediatric use. The discussion will  
4 also provide information to the agency pertinent to  
5 the formulation of written request for pediatric  
6 studies if appropriate.

7 The product under consideration for this  
8 session is olaratumab, presentation by Eli Lilly  
9 and Company. This is a particular matters meeting  
10 during which specific matters related to Eli Lilly  
11 and Company's product will be discussed. Based on  
12 the agenda for today's meeting and all financial  
13 interests reported by the committee members and  
14 temporary voting members, conflict of interest  
15 waivers have been issued in accordance with 18  
16 U.S.C. Section 208(b)(3) to Drs. Carola Arndt, Leo  
17 Mascarenhas, Alberto Pappo, and Brenda Weigel.

18 Dr. Arndt's waiver involves her stock  
19 holdings in two potentially competing firms. Dr.  
20 Mascarenhas's waiver involves his employer's  
21 current study of olaratumab, funded by Eli Lilly,  
22 which is anticipated to be between \$100,001 and

1 \$300,000 in total funding. Dr. Pappo's waiver  
2 involves his employer's current study of olaratumab  
3 funded by Eli Lilly, which is anticipated to be  
4 \$50,001 and \$100,000 per year. Dr. Weigel's waiver  
5 involves her employer's current study of  
6 olaratumab, funded by Eli Lilly, which are  
7 anticipated to be between \$0 and \$50,000 in total  
8 funding.

9 The waivers allow these individuals to  
10 participate fully in today's deliberations. FDA's  
11 reasons for issuing the waivers are described in  
12 the waiver documents, which are posted on the FDA's  
13 website. Copies of the waivers may also be  
14 obtained by submitting written requests to the  
15 agency's Freedom of Information Division, 5630  
16 Fishers Lane, Room 1035, Rockville, Maryland 20857  
17 or requests may be sent via fax to (301) 827-9267.

18 To ensure transparency, we encourage all  
19 standing committee members and temporary voting  
20 members to disclose any public statements that they  
21 have made concerning the product at issue.

22 With respect to FDA's invited industry

1 representative, we would like to disclose that Dr.  
2 P.K. Morrow is participating in this meeting as a  
3 non-voting industry representative, acting on  
4 behalf of regulated industry. Dr. Morrow's role at  
5 this meeting is to represent industry in general  
6 and not any particular company. Dr. Morrow is  
7 employed by Amgen.

8 We would like to remind members and  
9 temporary voting members that if the discussion  
10 involves any other product or firm not already on  
11 the agenda for which the FDA participant has a  
12 personal or imputed financial interest, the  
13 participants need to exclude themselves from such  
14 involvement and their exclusion will be noted for  
15 the record.

16 FDA encourages all other participants to  
17 advise the committee of any financial relationships  
18 that they may have with any firms at issue. Thank  
19 you.

20 DR. PAPP0: Thank you, Dr. Tesh.

21 Both the Food and Drug Administration and  
22 the public believe in a transparent process for

1 information-gathering and decision-making. To  
2 ensure such transparency of the advisory committee  
3 meeting, the FDA believes it is important to  
4 understand the context of an individual's  
5 presentation.

6 For this reason, the FDA encourages all  
7 participants, including the applicant's non-  
8 employee presenters, to advise the committee of any  
9 financial relationships that they may have with the  
10 firm at issue such as consulting fees, travel  
11 expenses, honoraria, and interest in the applicant,  
12 including equity interests and those based upon the  
13 outcome of the meeting.

14 Likewise, the FDA encourages you, at the  
15 beginning of your statement, to advise the  
16 committee if you do not have any such financial  
17 relationships.

18 If you choose not to address this issue of  
19 financial relationships at the beginning of your  
20 presentation, it will not preclude you from  
21 speaking. We will now proceed with Eli Lilly's  
22 presentation.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Industry Presentation - Allen Melemed**

DR. MELEMED: I'd first like to thank FDA for the opportunity to present to the pediatric ODAC today. My name is Allen Melemed. I'm a pediatric oncologist, and I currently am responsible for the U.S. regulatory for Eli Lilly Oncology.

We're delighted to be here today to discuss two of our compounds, the first one being olaratumab and the second one being prexasertib, and we are really looking forward to get your advice on how best to develop these medications for childhood cancers. I'm going to talk first for the first couple slides, and then following me Dr. Wacheck, and he'll give the explanation regarding olaratumab with pediatric and adult development.

When you look at our development scheme at Lilly, we really look at it as a bench to the bedside approach. And our first area is, we try to build internally non-clinical models that we can study our compounds first. When we see activity, we then can expand them into other models, into

1 in vivo models and try to really understand the  
2 best places to go. And then we support that into  
3 the clinical trials that we're going to move.

4 Dr. Wacheck is going to tell some of the  
5 data that we have and some of the reasons why we're  
6 excited to look into osteosarcoma for olaratumab,  
7 but we're open to get feedback from the committee  
8 if there are other areas we should be looking at,  
9 too. The obvious goal here is to improve treatment  
10 options for children with cancer, and today and  
11 right now, we're presenting olaratumab.

12 I want to get to some background for people  
13 who are not familiar with pediatric cancers. For a  
14 lot of the members, this should be second nature.  
15 But in general, enrollment to pediatric cancers are  
16 rare tumors, and they're complex. Many kids'  
17 cancers are treated with multiple therapies, which  
18 would include chemotherapy, radiation therapy,  
19 surgery, often a combination of all of them. So  
20 the isolation of a single drug can be complicated.  
21 It's also a challenge because most of these  
22 regimens are given as combinations. Again, trying

1 to isolate the single effect on one drug can be a  
2 challenge.

3 As I mentioned before, the translation to  
4 the non-clinical models into the clinic have not  
5 been 100 percent effective, and that's a challenge.  
6 And the risk-benefit is something we always have to  
7 think about. If we're trying to really cure a  
8 childhood cancer, long-term side effects is a  
9 challenge for these unlucky patients.

10 I'm going to now have Dr. Wacheck come  
11 discuss the olaratumab development plan for both  
12 pediatrics and adults.

13 **Industry Presentation - Volker Wacheck**

14 DR. WACHECK: Thank you, Dr. Melemed.

15 My name is Volker Wacheck, and I have the  
16 pleasure to support olaratumab as the medical  
17 director. And over the next 20 minutes, what I  
18 would like to do is to share with you my enthusiasm  
19 for this molecule. To do so, I would like to give  
20 you a brief overview about the compound and the  
21 mechanism of action, speak a little bit about the  
22 regulatory history, and then get into the clinical

1 trial experience with adults, which greatly  
2 informed us in the design of the early study in  
3 pediatric indication as well as moving further on,  
4 and then speak in particular about the non-  
5 clinical, and that there are ongoing studies, and  
6 then to conclude with next steps in the pediatric  
7 development.

8 As an executive summary, let me start that  
9 the excitement for this molecule is based on the  
10 substantial improvement that we have observed in  
11 the combination with doxorubicin in the treatment  
12 of soft-tissue sarcoma patients that led to a  
13 significant increase in the overall survival in  
14 this population without an increase in significant  
15 toxicity.

16 We closely collaborated with the FDA after  
17 having that data to get this compound to patients  
18 in extensive regulatory interaction, which led to  
19 the accelerated approval of olaratumab by the end  
20 of last year. Now we are committed to bring this  
21 compound also to the pediatric patient population,  
22 particularly considering that the relative

1 frequency of sarcoma is higher in that pediatric  
2 population. And to that end, we have initiated a  
3 phase 1 study looking at the monotherapy as well as  
4 combination treatment of olaratumab with standard-  
5 of-care treatment.

6 Lastly, we think that the non-clinical as  
7 well as the clinical data that we have in hand  
8 provide a rationale to further study olaratumab in  
9 pediatric indications; and particularly the data  
10 here in osteosarcoma and rhabdomyosarcoma are of  
11 interest to further being evaluated.

12 I would like to start with an overview of  
13 the compound that we are talking about and  
14 introducing the pathway. So the platelet-derived  
15 growth factor receptors are a transmembrane  
16 tyrosine kinase receptor. There are two different  
17 forms, an alpha and a beta form. What we know is  
18 that there are four different ligands expressed by  
19 four different genes. And upon binding of the  
20 ligand to the receptor, what happens is there's a  
21 dimerization of the receptor that leads to the  
22 phosphorylation of the intracellular kinase domain

1 of the PDGF receptor.

2 It then triggers a couple of pleiotropic  
3 downstream signaling pathway that, in one or the  
4 other way, are related to the differentiation of  
5 mesenchymal cells in particular, stem cell  
6 differentiation, but also have links to the  
7 promotion of growth of tumor, angiogenesis,  
8 migration, and wound shielding.

9 The role or the presence of the PDGF  
10 receptor family, in particular alpha, is something  
11 that is already known for decades. Looking back,  
12 we know that, in sarcomas, about 8 to 50 percent,  
13 depending on the assay and the kind of use, do  
14 express the PDGF receptor alpha. And this  
15 expression has been linked with a more aggressive  
16 phenotype and an increased metastatic potential.

17 The PDGF receptor alpha is not only  
18 expressed on the tumor. We know also that in the  
19 microenvironment, in the cancer-associated  
20 fibroblast around the tumor, there is expression of  
21 the receptor and there is a fascinating crosstalk  
22 between the microenvironment and the tumor around

1 this pathway with paraclinal as well as autocrine  
2 loops, each other are promoting here the different  
3 facts for PDGF receptor signaling.

4 The molecule that we have is olaratumab.  
5 It's a fully human monoclonal antibody, IgG1,  
6 directed against the PDGF receptor alpha. And upon  
7 binding with high affinity, what it does is it  
8 blocks the binding of PDGF AA, PDGF BB, and CC. By  
9 doing so, it inhibits the phosphorylation of the  
10 intracellular kinase domain and the further ligand-  
11 dependent signaling downstream of the receptor.

12 To illustrate how we accelerated the  
13 development for this molecule, I would like to  
14 briefly touch on the regulatory history.  
15 Olaratumab, or Lartruvo as the trade name, has  
16 received accelerated approval in October 2016. And  
17 this platelet-derived growth factor receptor alpha  
18 blocking antibody, is indicated in combination with  
19 doxorubicin for treatment of adult patients with  
20 soft tissue sarcoma with a histologic subtype for  
21 which an anthracycline-containing regimen is  
22 appropriate and which is not amenable to curative

1 treatment with radiotherapy or surgery.

2 The dose that is approved for Lartruvo is  
3 15 milligram per kilogram, administered as an  
4 infusion on day 1 and to day 8 of a 21-day cycle in  
5 combination with a standard dose of doxorubicin.

6 This slide illustrates the extensive as well  
7 as intensive collaboration that we had with the  
8 agency to bring the molecule to the market. As  
9 soon as we have seen early in 2014 the first  
10 interim results, we get into discussion and  
11 conversation with the agency that led to a  
12 breakthrough designation and finally led to the  
13 accelerated approval by October of last year for  
14 this compound.

15 But at the same time -- and that is seen  
16 here underneath the timeline -- we already  
17 initiated and thought about in terms of the further  
18 pediatric development of the molecule and submitted  
19 our phase 1 protocol to the IND, received feedback  
20 from the agency, incorporated that, and had the  
21 first patient visit in this pediatric study by  
22 August of 2016.

1           A lot of thoughts and consideration went  
2 into the design of this phase 1 study, but also for  
3 the overall strategy, is based on our observation  
4 that we had in the adult soft tissue sarcoma. So I  
5 would briefly like to review these data.

6           The development for olaratumab started in  
7 2006 with a standard phase 1 study looking at the  
8 monotherapy, different dose level from 4 up to 20  
9 milligrams per kilogram. And in this  
10 dose-escalation study, we didn't observe the dose-  
11 limiting toxicity. The most frequent adverse  
12 events were rather unspecific, with fatigue, some  
13 GI toxicity, but all of them grade 1 or grade 2.

14           Pharmacokinetics supported for the  
15 development in that we saw a dose-dependent  
16 increase that was compatible with the target-  
17 mediated drug disposition model.

18           In terms of the recommended phase 2 dose,  
19 the dose of 50 milligrams per kilogram given on day  
20 1 and day 8 was selected based on the notion that  
21 in pre-clinical animal models, we have seen trough  
22 levels that were associated with activity at this

1 50 milligram-per kilogram dose level.

2 In the meantime, there are a total of 15  
3 trials that have been or are ongoing with  
4 olaratumab, most of them in combination with  
5 chemotherapeutic regimens and the majority, 7, in  
6 soft tissue sarcoma, including one trial, a phase 3  
7 trial, whereas the other ones are all either phase  
8 1 or phase 2. But we also looked in combination  
9 with chemotherapy in non-soft tissue sarcoma  
10 indication, so ovarian, lung, pancreas, and  
11 prostate, as well as for the monotherapy of this  
12 particular molecule.

13 The most relevant studies so far for  
14 olaratumab is the phase 2 study that led to the  
15 accelerated approval. This study was an open-label  
16 multi-center phase 1/2 study consisting of two  
17 parts. The first was a 1b part where we assessed,  
18 in patients with advanced soft tissue sarcoma not  
19 amenable to surgery or radiotherapy, older than the  
20 age of 18, and any number of prior treatments, but  
21 no doxorubicin, the safety and tolerability of  
22 combining the olaratumab with the doxorubicin.

1 Patients that didn't progress at the end of  
2 treatment were allowed to continue olaratumab as a  
3 monotherapy.

4 After having established in this lead in the  
5 safety of that combination, we moved on to  
6 randomized phase 2 study with exactly the same  
7 patient population here and randomized 130 patients  
8 to the two treatment arms in a 1 to 1 ratio either  
9 for the combination of olaratumab and doxorubicin  
10 or to doxorubicin as a monotherapy. Again,  
11 patients were allowed to continue if not  
12 progressing at the end of 8 cycles of treatment  
13 with doxorubicin.

14 This trial was stratified for common  
15 prognostic factors, including ECOG status, the  
16 number of prior lines of therapy, histology, as  
17 well as we did a stratification according to the  
18 PDGF receptor alpha expression status.

19 The primary objective of that study was  
20 progression-free survival, and the study met its  
21 pre-defined target for progression-free survival.  
22 Then we observed in the combination arm the 2.5

1 months' improvement in progression-free survival,  
2 which meant the pre-defined criteria, acceptance  
3 level of 0.2, an alpha level in that study.

4           Importantly, there was an open-label study  
5 here that was an independent review of the CT scan  
6 to re-assess the PFS here. And the hazard ratio  
7 that we observed for the investigator-based  
8 assessment of 0.67 was confirmed by this  
9 independent review, almost with the identical  
10 number in terms of the hazard ratio.

11           As a key secondary objective to that study,  
12 we also looked for overall survival. And there we  
13 observed a statistically significant and clinically  
14 meaningful improvement.

15           Relative to the 14.7 months overall survival  
16 in the control arm, which is in line with previous  
17 study for doxorubicin here in the monotherapy, we  
18 observed an 11.8-month improvement by the addition  
19 of the olaratumab that resides within 26.5 months  
20 of overall survival and a hazard ratio of 0.46.

21           This result was highly statistically  
22 significant. The Kaplan-Meier curve separated

1 early on and stayed separated. And to the best of  
2 our knowledge, it's the first time that in a  
3 randomized study in that setting, the median  
4 overall survival exceeded the two-year landmark in  
5 soft tissue sarcoma.

6 The safety profile for the combination of  
7 olaratumab and doxorubicin in this study was  
8 acceptable, and the adverse events that have been  
9 seen were monitorable and manageable. Overall,  
10 there was a slightly higher number of adverse  
11 events in the combination arm for known toxicities  
12 that have been described as doxorubicin like  
13 nausea, mucositis, vomiting, and diarrhea, but most  
14 of them were either grade 1 or grade 2.

15 There was also a higher grade of neutropenia  
16 observed in the combination arm that included also  
17 a higher rate of grade 3 or more events. But that  
18 didn't lead [indiscernible] into an increased  
19 number of febrile neutropenia, which was almost the  
20 same between the two arms. And also, this  
21 neutropenia did not lead to a higher rate of  
22 discontinuation, hospitalizations, or death in that

1 study.

2 As an antibody, there are certainly adverse  
3 events of special interest, particularly about  
4 immunogenicity, and we observed in 12.5 percent  
5 here infusion-related reactions. Typically, these  
6 were seen within the first two cycles of therapy,  
7 and there were 2 patients or 3.1 percent actually  
8 with high grade that were seen after the first  
9 infusion of olaratumab.

10 The cardiotoxicity as a well-known  
11 characteristic of doxorubicin side effects was  
12 similar between the two treatment arms with no hint  
13 that the addition of olaratumab increased any  
14 cardiotoxicity.

15 From a PK perspective, olaratumab behaved as  
16 we would have expected here for a monoclonal  
17 antibody. For the analysis, we did a PopPK study  
18 with a total of 171 patients, samples that were  
19 pooled from different phase 2 studies. And the  
20 characteristics of the antibody are best described  
21 by a two-compartment model with linear elimination  
22 at the 15- and 20-milligram per-kilogram dose

1 level. The half-life of the molecule is around 11  
2 days, and steady-state conditions are obtained  
3 after about 50 day equal to about cycle 3.

4 Further, looking into the data, we valued it  
5 whether any covariates, demographic baseline  
6 factors might influence here the PK characteristic.  
7 And the most notable that we observed is that the  
8 clearance decreased with decreasing body weight in  
9 a less than directly proportional manner, as well  
10 as that co-administration of olaratumab didn't  
11 influence the PK characteristic of doxorubicin and  
12 vice versa.

13 The exposure response relationship indicated  
14 that the majority of patients are above the half-  
15 maximal effect and receiving overall survival  
16 benefit. So in the survival model, where we use  
17 the trough level at the end of cycle 1 and OS as in  
18 time to event analysis, there was the half-maximum  
19 effect seen at 66 micrograms per milliliter, which  
20 corresponded to the 25th percentile in that study  
21 population.

22 Maximum predicted effect in terms of overall

1 survival was seen with an approximate 75 percent  
2 improvement, and that was within the dose level  
3 that were achieved within that study for  
4 olaratumab.

5 In terms of significant covariates in that  
6 model, the only that were identified were the ECOG  
7 performance status as well as previous lines of  
8 chemotherapy that are a well-known prognostic  
9 factor in that patient population.

10 So overall, the benefit-risk balance for  
11 olaratumab in combination with doxorubicin is a  
12 positive. The efficacy results represent a  
13 substantial improvement in a disease setting where  
14 for many years no improvement has been observed.  
15 And the safety profile that we observed was  
16 acceptable with manageable and monitorable side  
17 effects.

18 In particular, the improvement was not  
19 coming with any increased significant toxicity  
20 here, and the PK/PD analysis supports the 50-  
21 milligram at the currently reduced dose. In the  
22 currently ongoing phase 3 study, we're evaluating

1 an approach where we use a loading dose of  
2 20 milligrams for the first cycle followed by the  
3 50-milligram for the subsequent cycle here with the  
4 intent that that might help you to get earlier to  
5 steady-state condition and further improve the  
6 benefit-risk balance of olaratumab.

7 This phase 3 study, a randomized, double-  
8 blind, placebo-controlled study, is currently  
9 underway. We plan to enroll 460 patients that have  
10 been already actually enrolled, and we expect the  
11 results early in 2020.

12 So besides this clinical observation in the  
13 adult population, the further development for the  
14 pediatric indication is informed by the non-  
15 clinical data that we have generated for the  
16 molecule.

17 The way we envision to come together is, on  
18 the one side, is the pre-clinical data that we have  
19 already in hand -- and I will talk to that in a  
20 second -- as well as the data that emerged from our  
21 phase 1 study in the pediatric indication, as well  
22 as further pre-clinical data on the other side that

1 we are currently generating in collaboration also  
2 with the Innovative Medicine Initiative in Europe,  
3 which gives us further opportunity to study in the  
4 pre-clinical models for pediatric indications; and  
5 to bring that then all together as a package, to  
6 have discussion with thought leaders, cooperative  
7 groups, and regulators to design an efficacy study  
8 that we currently envision to be a randomized,  
9 double-blind, placebo-controlled study, potentially  
10 in osteosarcoma, rhabdomyosarcoma, or other tumor  
11 types based on emerging data.

12 The non-clinical data that we have seen so  
13 far stems from cell lines as well as from xenograft  
14 models, and in particular, cell lines, osteosarcoma  
15 and rhabdoid have been identified as the most  
16 significant in terms of activity.

17 We further study then rhabdomyosarcoma,  
18 osteosarcoma, synovial carcinoma, and rhabdoid  
19 tumors, and the xenograft model. And taken  
20 together, also here, osteosarcoma seemed to be a  
21 particularly interesting tumor type for the  
22 activity of olaratumab.

1           To illustrate that, here are two examples.  
2       You see here xenograft models treated either with  
3       controlled cisplatin, the olaratumab as  
4       monotherapy, or the combination. And what we  
5       observed is certainly there is single-agent  
6       activity with olaratumab, but it is in combination  
7       with the chemotherapy where you see the most  
8       activity.

9           What's interesting actually is when you then  
10       look not only at the total numbers for the group  
11       with the tumor growth inhibition, but rather look  
12       into the individual animals here as a waterfall  
13       plot, what we see there is that chemotherapy or  
14       olaratumab as a monotherapy just gives us the tumor  
15       growth inhibition, but it is only the combination  
16       where some of the animals show tumor regression.

17           That's actually an observation we have seen  
18       in other models for osteosarcoma as well. We her  
19       in this particular HU09 model looked for  
20       combination either with cisplatin or with  
21       doxorubicin. Again, olaratumab had single-agent  
22       activity here, with the most activity, again, in

1 combination with chemotherapy, and a very similar  
2 picture like before, when you look into the  
3 individual animals.

4 Cisplatin alone and doxorubicin alone may  
5 need tumor growth retardation, but when you combine  
6 with the olaratumab, you see some of these animals  
7 with a regression in tumor, indicating that you  
8 might shift here the biology from a pure tumor  
9 growth retardation actually to a phenotype where  
10 you see regression of the tumor.

11 Other tumors that we have studied pre-  
12 clinically included rhabdomyosarcoma and rhabdoid  
13 tumors. We haven't seen any activity in  
14 rhabdomyosarcoma in the two models that we have so  
15 far studied. There is some challenge in  
16 identifying good models for rhabdomyosarcoma.  
17 Whereas in the rhabdoid tumor, we have seen  
18 activity in a xenograft model with expression of  
19 PDGF receptor alpha, here, the single-agent  
20 activity led to tumor growth inhibition in almost  
21 all of the animals, as you can see at the bottom of  
22 the slide.

1           So the information from this non-clinical as  
2 well as the observation from the soft tissue  
3 sarcoma in the adult population, all of that  
4 influenced moving forward into the phase 1 study I  
5 would like to present next.

6           This study is a phase 1 dose-escalation  
7 study that is evaluating the monotherapy as well as  
8 the combination of olaratumab with three different  
9 chemotherapeutic regimens. Patients eligible for  
10 this study are pediatric patients less than 18  
11 years old with a solid tumor that are refractory  
12 treatment or relapsing.

13           By the design, first cycle of the treatment  
14 is with the monotherapy of olaratumab to understand  
15 the single-agent tolerability in the pediatric  
16 population. And after that, patients then will be  
17 based on investigator's discretion to one of the  
18 three different chemotherapy regimens combination,  
19 which are either olaratumab plus doxorubicin, or  
20 olaratumab plus vincristine and irinotecan, or  
21 olaratumab in combination with ifosfamide.

22           The following year, a dose-escalation

1 approach, we started the dose with 15 milligrams,  
2 and only if that is tolerated as a single agent,  
3 the patient is allowed to move on with the 15 in  
4 the combination. If not, the plan is to deescalate  
5 here to 10 milligram per kilogram.

6 The second part of the study would be the  
7 next dose level, which is the 20 milligram per  
8 kilogram following the same scheme of monotherapy  
9 followed by the combination therapy. The primary  
10 objective of that study is to identify a dose that  
11 can be safely administered either as monotherapy or  
12 individually with each of the different chemo  
13 combinations here. So these arms are considered to  
14 be separate and not necessarily leaving into the  
15 same combination dose.

16 A few words about the dosing strategy that  
17 informed here the decision for this dose  
18 escalation. So the aim was to achieve a similar  
19 exposure with olaratumab as we have seen in adult  
20 patients in the phase 2 study in combination with  
21 doxorubicin. And by doing similar modeling here,  
22 either following the assumption that the

1 relationship between clearance and body weight  
2 might be preserved as observed in the adult patient  
3 population or elemetric dosing scheme, we have  
4 found that according to the simulation, either a  
5 15- or 20-milligram per-kilogram dose, might be the  
6 one achieving this exposure that we have seen in  
7 the adult population to be linked with activity.

8 For that reason and also considering the  
9 safety here, we started at the 15-milligram and  
10 have the plan to move up to the 20-milligram dose  
11 level guided by the tolerability and the PK  
12 observation. And if data indicate, further dosing  
13 regimens might be explored to achieve the goal to  
14 get to the same exposure level.

15 So to conclude here, the non-clinical data  
16 that we have at the moment gives strong support  
17 particularly for osteosarcoma as a tumor type of  
18 interest. Our phase 1 study is designed to provide  
19 us the safety for the monotherapy as well as for  
20 the combination therapy, with combinations of  
21 standard-of-care therapeutic regimens commonly used  
22 in the pediatric population.

1           The phase 1 study is planned to enroll up to  
2   70 patients. Currently, we have enrolled 18  
3   patients, and we expect to complete the study by  
4   June 2019 at the latest. And the ultimate idea  
5   would be then, together with the pre-clinical data,  
6   to bring that together, maybe some signal observed  
7   out of the safety and tolerability study, to inform  
8   the design of the study I mentioned before, the  
9   randomized efficacy study later on.

10           To conclude overall, we see the PDGF  
11   receptor pathway to be one of relevance in several  
12   pediatric tumor types, including the  
13   rhabdomyosarcoma, osteosarcoma, and rhabdoid  
14   tumors. And the clinical benefit observed with  
15   doxorubicin in adult patient population for us has  
16   the potential also to influence the cause of  
17   disease in the pediatric population, and that is  
18   why we're committed to study those.

19           The phase 1 study is on the way and will  
20   allow us to establish for the first time in a  
21   pediatric patient population the safety and  
22   tolerability in combination with chemotherapy. And

1 upon availability, we want in discussion with  
2 cooperative groups, external thought leaders, and  
3 the agency to design the efficacy study in tumor  
4 types, including osteosarcoma, potentially  
5 rhabdomyosarcoma, or further tumor types of  
6 interest.

7 With that, I would like to thank you for  
8 your attention and move on to questions and the  
9 discussion.

10 DR. PAPP0: Thank you very much. Before we  
11 proceed, I would like to ask Anne to introduce  
12 herself for the record.

13 DR. ANGIOLILLO: Good morning. Anne  
14 Angiolillo from Children's National Medical Center.  
15 Thank you.

16 **Clarifying Questions from Subcommittee**

17 DR. PAPP0: Thank you. We will now take  
18 clarifying questions for Eli Lilly. Please  
19 remember to state your name for the record before  
20 you speak. And if you can, please direct questions  
21 to a specific presenter. And I'll take a shot at  
22 the first question.

1           On your adults soft tissue sarcoma trial,  
2           did you see any signal of any particular subtypes  
3           that were more sensitive to the combination or not?  
4           And the reason for that is, could that be  
5           translated to other histologies in pediatrics that  
6           are common in pediatrics, but not -- on some of the  
7           histologies, I have just seen adults are not  
8           applicable to pediatrics; for example, synovial  
9           sarcoma or malignant peripheral nerve sheath  
10          tumors.

11           DR. MELEMED: I'll have Dr. Wacheck come in  
12          and discuss the efficacy by subtype that we saw in  
13          a pivotal trial.

14           DR. WACHECK: So we did an analysis to  
15          better understand here, particularly histologic  
16          subtypes. And the limitation that we had in that  
17          originally designed phase 2 study, with only about  
18          63 patients in each of these arms, is that we ended  
19          up with, in part, very, very small subsets of  
20          tumor.

21                    We stratified according to histology with  
22          leiomyosarcoma and others, but the subset of the

1 others sometimes goes down to 2 to 3 patients, so  
2 that we didn't feel that we can do any meaningful  
3 conclusion out of that.

4 DR. ARNDT: Thank you for that clear  
5 presentation. One question. Do you have any pre-  
6 clinical data on the combination of olaratumab --

7 DR. MELEMED: It's hard for us to say, too.  
8 (Laughter.)

9 DR. ARNDT: -- and irinotecan or the  
10 investigational agent plus ifosfamide?

11 DR. MELEMED: I'll have Dr. Stancato come up  
12 and discuss the pre-clinical data.

13 DR. ARNDT: And the second question, I'll  
14 have a follow-up question after that.

15 DR. STANCATO: Hello. Dr. Lou Stancato,  
16 oncology translational research. Regarding your  
17 question about combination with irinotecan  
18 and -- I'm sorry; what was the other agent?

19 DR. MORROW: Ifosfamide.

20 DR. STANCATO: Ifosfamide. We have looked  
21 at combinations in synovial and rhabdomyosarcoma.  
22 Unfortunately, we did not see increased efficacy of

1       olaratumab or of the chemotherapy, so no  
2       combination effect. And that came with no obvious  
3       signs of increased toxicity. However, the  
4       combination was inactive in those two separate  
5       tumor types.

6               DR. ARNDT: So then the justification for  
7       using the agent plus doxorubicin is obvious,  
8       especially given your clinical trial, but can you  
9       talk a little bit more about the justification of  
10      combining it with the other two agents if there was  
11      no observation of enhanced activity in the pre-  
12      clinical model?

13             DR. STANCATO: For that, I think I'll ask  
14      Dr. Wacheck to come up.

15             DR. WACHECK: As Dr. Stancato mentioned, the  
16      pre-clinical data that we have currently are  
17      limited to just a few models. And based on the  
18      fact that the ability to combine with commonly used  
19      standard-of-care chemotherapies or  
20      regimens -- encouraged us to evaluate here the  
21      safety and tolerability, also knowing that the  
22      predictability of pre-clinical data, and

1 particularly when it comes to combination therapy,  
2 it's sometimes limited in terms of predictive  
3 value.

4           So before excluding here a regimen that is  
5 commonly used just on two animal models, we felt  
6 that this might not be sufficient, but further pre-  
7 clinical data were generated to support this  
8 hypothesis.

9           DR. ARNDT: The other question was, you  
10 mentioned that there were some challenges with the  
11 doxorubicin model. Are there plans to do further  
12 pre-clinical investigation in rhabdo?

13           DR. MELEMED: Dr. Stancato, can you come up  
14 to discuss the challenges in that model?

15           DR. STANCATO: Lou Stancato, oncology  
16 translational research. The challenges are that,  
17 by and large, patients express the PDGF receptor  
18 alpha to a very high degree and typically ligand as  
19 well. The majority of the models that are  
20 available to us do not express the PDGF receptor  
21 alpha, and therefore make it very difficult for us  
22 to analyze direct effects on the tumor.

1           So that's a challenge we're trying to  
2 overcome through external collaboration with  
3 experts in the field.

4           DR. WEIGEL: Brenda Weigel, University of  
5 Minnesota. I have four questions, and hopefully  
6 they'll be brief. The first one relates to the  
7 toxicity seen in the adult JGDG study. And I note  
8 that there's a difference in the median number of  
9 cycles received between the olara-dox and the  
10 single-agent dox. It's noted that 7 cycles for the  
11 combination were administered as a median in 4 for  
12 dox alone.

13           Do you have any data to suggest that the  
14 difference in some of the toxicities that you have  
15 seen are really because of the cumulative effects  
16 in additional cycles, and have you done a cycle-to-  
17 cycle comparison?

18           DR. MELEMED: Dr. Wacheck, can you come  
19 discuss the toxicities seen regarding the cycles?

20           DR. WACHECK: So I definitely would like  
21 also to remind my colleague from the statistical  
22 part to come on, on deck, Dr. Peterson.

1 Particular, let me clarify whether I understand  
2 your question correctly. Are you referring to the  
3 doxorubicin-specific toxicity, particular  
4 cardiotoxicities, or in general?

5 DR. WEIGEL: No, in general because you  
6 noted that there was an increased number of overall  
7 AEs reported on the combination arm. Is that  
8 purely because the patients had almost double the  
9 number of cycles as a median and it's because we're  
10 getting cumulative additional, particularly  
11 cumulative toxicities in later cycles that is  
12 driving that toxicity that you're saying?

13 DR. WACHECK: I think that's a very good  
14 point. And we looked from an efficacy perspective  
15 in terms of the additional number of cycles for  
16 doxorubicin. But for the adverse events, I would  
17 ask whether Dr. Peterson could comment on that and  
18 the analysis.

19 DR. PETERSON: Patrick Peterson, clinical  
20 statistics. So we did look at the extent of  
21 cumulative toxicity. We didn't see any evidence of  
22 that. If you consider, for example, the increased

1 rate of neutropenia that was observed with the  
2 combination, most of that was observed in the first  
3 or second cycle. If patients were going to have  
4 neutropenia, it was observed early, so there's no  
5 cumulative effect.

6 DR. WEIGEL: Thank you. My next question  
7 relates to the trough targeting and the  
8 pharmacokinetics. And if I'm understanding  
9 correctly, the cycles are 21 days, and the data  
10 presented were achieving a trough of 66 at the end  
11 of cycle 1. However, olara reaches steady state at  
12 approximately day 50.

13 What data do you have that says if you look  
14 at steady state, particularly cycle 2, 3, and  
15 beyond, the number of patients that actually  
16 achieved that? And what is the data driving the  
17 loading dose? And do you have any modeling or  
18 human data, or any data to say that that actually  
19 achieves a higher percentage by day 21?

20 DR. MELEMED: Yes. I'll have Dr. Cronier  
21 discuss the exposure response analyses that were  
22 done to actually explain the loading dose.

1 DR. CRONIER: Damien Cronier, PK/PD  
2 pharmacometrics. So with respect to the first  
3 point in how the exposure response analysis was  
4 performed, we actually examined two PK endpoints.  
5 The primary concern was because we were dealing  
6 with phase 2 data, we had a relatively small number  
7 of patients to look at, to look into. So we  
8 selected our PK endpoints for us to preserve the  
9 integrity of the sample size.

10 So this is why we looked at the trough level  
11 at the end of the first cycle, because it does  
12 represent the first intent to treat. And it does  
13 make it possible to have the full sample size,  
14 because no patients will have left treatment  
15 because of disease progression, nor will those  
16 reductions have happened yet. So that's the  
17 rationale behind looking at the trough level at the  
18 end of the first cycle.

19 We also did look at the average  
20 concentration throughout the entire duration of  
21 treatment. So we modeled the PK profile throughout  
22 every cycle of treatment for each patient,

1 calculated the total AUC throughout the entire  
2 duration of treatment, and then divided this value  
3 by the duration of treatment.

4 That made it possible to do two things, keep  
5 all the patients within the analysis and also take  
6 into account the effect of dose reductions on the  
7 overall exposure throughout treatment so as to  
8 avoid biases linked to looking at one snapshot over  
9 time. So that's with respect to how the endpoints  
10 were picked and chosen.

11 We did not really see a difference in the  
12 shape or the nature of the exposure-response  
13 relationship with either endpoint selected. So in  
14 both cases, the half-maximum C mean 1 and C  
15 average, respectively, were superimposed with the  
16 25th percentile of the endpoint within the trial.

17 So that's with respect to I think your first  
18 question. Now, with respect to the loading dose,  
19 when we examine the trough level at the end of  
20 cycle 1 during the exposure-response relationship  
21 analysis, what we observed is that patients in the  
22 lower quartile, so patients whose PK endpoint was

1 below the EC50 value, did not receive much of an  
2 overall survival benefit, but also seemed to  
3 progress within the first 2 to 3 cycles, seemed to  
4 experience earlier disease progression.

5           So this observation made us generate a  
6 pharmacological hypothesis that perhaps people did  
7 not receive an overall survival benefit because  
8 they did progress too early. And so we then  
9 thought that using a loading dose approach, by  
10 using 20 milligram per kilogram on day 1 and 8 of  
11 the first cycle, would make it possible to try and  
12 push the trough level to higher values and try to  
13 rescue some of this risk of early disease  
14 progression and try to keep patients on board for  
15 longer.

16           The additional layer to that decision was  
17 that we wanted to also manage the Cmax value in  
18 order to maintain the positive benefit-risk ratio,  
19 so we thought a loading dose approach will make it  
20 possible to achieve steady state as soon as  
21 administration, number one, and maintain the  
22 overall Cmax within the range of values explored

1 within the phase 2.

2 Now, with respect to what this is yielding  
3 in terms of outcome, we do not know yet because the  
4 phase 3 trial is still ongoing. So we're hopeful  
5 it's going to help patients some more, but we will  
6 only be able to just talk about this once we have  
7 gathered and examined all the data.

8 DR. WEIGEL: I appreciate that and thank  
9 you. That was a great answer.

10 My third question shifts gears -- actually,  
11 all my things shift gears -- you alluded there is I  
12 believe an ongoing study in adults with CNS primary  
13 tumor. Can you speak to CNS penetration in  
14 patients who have been treated with the drug who  
15 have had CNS primary tumors or CNS metastatic  
16 disease, and if there are any plans to explore  
17 patients with CNS tumors further, especially since  
18 this is a high area of need in the pediatric  
19 population, and what pre-clinical data might exist  
20 to support that?

21 DR. MELEMED: I'm going to have Dr. Melemed  
22 discuss the general plan on the CNS program per se.

1 DR. S. MELEMED: Dr. Symantha Melemed. I'm  
2 the product team leader for olaratumab. So the  
3 study that you're referring to is a glioblastoma  
4 trial that we did in a phase 2 setting. We did not  
5 see substantial activity for this drug in that  
6 setting. And then I'll turn to Dr. Stancato in  
7 terms of the pre-clinical.

8 Okay. We have limited pre-clinical data  
9 there as well, so for the most part, we aren't  
10 pursuing that as that setting.

11 DR. WEIGEL: Thank you.

12 My last -- and it's not really a question;  
13 it's more a comment. It's alluded to in the  
14 potential proposed plans for a pediatric study, the  
15 use of a placebo. And I think I would really  
16 caution against pursuing that in the pediatric  
17 space.

18 I think it's pretty much a non-starter in  
19 the pediatric space to offer a placebo versus  
20 standard of care. I think they are very, very  
21 difficult trials to enroll to and I would encourage  
22 thought around another comparator or some other

1 approach rather than randomized to a placebo.

2 I appreciate the randomization design, and I  
3 understand that. I think I would just really  
4 caution against the inclusion of a placebo.

5 DR. MELEMED: I would love to get FDA's  
6 feedback on the regulatory nature by not having the  
7 placebo and what that would entail from a  
8 regulatory perspective.

9 DR. REAMAN: I think the regulatory  
10 perspective for these rare pediatric tumors require  
11 some flexibility. And I think Dr. Weigel's  
12 absolutely correct. We would have difficulty  
13 supporting a placebo in a disease setting that's  
14 life-threatening and in a pediatric population.

15 So I think there are ways to consider  
16 randomization and to also try to isolate the effect  
17 of a particular product, particularly in a  
18 combination setting. But I think the use of a  
19 placebo would be difficult.

20 DR. MELEMED: To clarify, you'd be open with  
21 an open-label trial with a standard arm and an  
22 experimental arm, so it's a two-arm trial, but just

1 not double-blind, placebo-controlled? Is that what  
2 you're saying?

3 DR. REAMAN: Yes.

4 DR. MELEMED: Thank you.

5 DR. ANGIOLILLO: Hi. Anne Angiolillo from  
6 Children's National. Dr. Wacheck, you certainly  
7 shared your enthusiasm with me. Thank you. That  
8 was a great presentation. I have four different  
9 questions, varied; some are very simple, others a  
10 little bit more in depth.

11 With regards to the fever neutropenia with  
12 these patients, did they receive growth factor,  
13 Neulasta? Yes.

14 DR. WACHECK: Yes, they received.

15 DR. ANGIOLILLO: So yes.

16 DR. WACHECK: Yes.

17 DR. ANGIOLILLO: You mentioned the infusion-  
18 related reactions. Could you elaborate on that?  
19 Was the drug stopped? How was it handled? Were  
20 patients pre-medded? And that then bleeds  
21 into -- on a double-blind study, I couldn't figure  
22 out how that would work, et cetera.

1 DR. WACHECK: Yes.

2 DR. ANGIOLILLO: Thank you.

3 DR. WACHECK: So in the phase 2 study, there  
4 was not a strict regimen in terms of any pre-  
5 medication to be used here. And as mentioned, the  
6 infusion-related reaction, having seen in the first  
7 1 or 2 cycles mainly, chills, some rigor, some rash  
8 were the most common events here.

9 Moving on into further studies, we now have  
10 a pre-medication scheme that patients in the first  
11 cycle receive corticosteroids as well as an  
12 antihistamine. And then for subsequent cycles, at  
13 a minimum an antihistamine, but based on  
14 investigator's discretion, obviously, for the pre-  
15 medication it can be used.

16 In case of a previous infusion-related  
17 reaction, there is the need to pre-medicate with  
18 corticosteroids as well as with antihistamines.

19 DR. ANGIOLILLO: As far as performance  
20 status and eligibility, can you speak more to that?

21 DR. WACHECK: So with ECOG, performance  
22 status of 1 or 2 were allowed in the study.

1 DR. ANGIOLILLO: With regards to the tumor  
2 regression in animal models, were anti-angiogenesis  
3 markers looked at, like the EGF or histologically?

4 DR. WACHECK: We didn't do any analysis with  
5 respect to anti-angiogenesis. There was analysis  
6 done or tumor collected to look at PDGF receptor  
7 alpha expression and to see whether there was any  
8 correlation. And it turned out that there wasn't  
9 in that limited sample size to a tumor, as well,  
10 any correlation being identified. I think here the  
11 analysis was limited by a mix of primary and fresh  
12 tumor biopsy, metastases as well as primary tumors  
13 and a sad and relatively small sample size.

14 DR. ANGIOLILLO: It might be interesting to  
15 look at those patients that had their frank  
16 regression and start with that. Thank you.

17 DR. ROTH: I have two questions. The first  
18 is kind of a pharmacovigilance question, so I don't  
19 know if there's a right person. But the adult  
20 trial of doxorubicin and this agent, the cardiac  
21 dysfunction is labeled as not significantly  
22 different. I don't know exactly when that

1 evaluation took place. For example, if it took  
2 place after a couple cycles, I wouldn't be  
3 surprised not seeing any difference. But as a  
4 practitioner, you'd kind of like to know that  
5 maybe, in the combination, I need to worry more  
6 about cumulative dose of doxorubicin at 300 as  
7 opposed to 450.

8 So I was wondering how long that cardiac  
9 evaluation represented there was or whether maybe  
10 six months after the completion of therapy you'd be  
11 worried about it, so that's my first question.

12 DR. MELEMED: I'm going to first do a  
13 clarification on the dose pre-meds. When patients  
14 were getting doxorubicin and they were in that  
15 trial, they were also getting dox pre-medication,  
16 so either getting some dox pre-medication, and then  
17 we continued it with the monotherapy, so just to  
18 put it in that perspective.

19 Regarding the question regarding cardiac  
20 toxicity, the trial was done mainly in the U.S.,  
21 and patients were given Zinecard after certain  
22 cycles to help with the cardiac toxicity. But I'll

1 have Dr. Volker talk specifically about the timing  
2 of the exams that were done for the cardiac  
3 toxicity.

4 DR. VOLKER: So echocardiograms as well as  
5 mover [ph] scans were done throughout the study  
6 here, and in patients also further follow-up. The  
7 recommendation was to do this type of study. I  
8 don't have the exact number of how many scans were  
9 done or echocardiograms in the follow-up year.

10 DR. ROTH: Yes. I'd actually feel a little  
11 bit more comfortable if actually this agent changed  
12 the PKs of doxorubicin, but since there's more  
13 acute toxicity attributed to doxorubicin in the  
14 absence of PK alterations, that made me wonder why  
15 wouldn't you have an effect on potential  
16 cardiotoxicity down the road?

17 DR. WACHECK: I think it's an excellent  
18 question, and I don't have an answer right now for  
19 you. But it's definitely something to think about.

20 DR. ROTH: Thank you. My second question is  
21 on JDDN [ph]. I don't have a lot of concerns about  
22 getting DLTs in that initial run-in with the agent.

1 But the question is, if then you get your first  
2 dose of this agent plus doxorubicin, what's the de-  
3 escalation scheme? Are we deescalating the study  
4 drug? Are we deescalating a known -- maybe the  
5 most active agent? So I was just wondering what  
6 the de-escalation schema would look like.

7 DR. WACHECK: So as I said, not withholding  
8 a standard of care, the first would be a de-  
9 escalation here for the antibody and the dose. And  
10 according to the protocol, it would be 10 milligram  
11 per kilogram to be administered. If there are  
12 other toxicities that are potentially linked with  
13 it specific to chemotherapeutic regimen, the  
14 protocol foresees that there is also dose  
15 escalation for these respective chemotherapeutic  
16 agents.

17 DR. ROTH: Actually, I'll give you an  
18 example. So for example, grade 3 mucositis with  
19 the first course of the combination, there would be  
20 de-escalation of the doxorubicin?

21 DR. WACHECK: Within the protocol, according  
22 to different side effects, it's a guideline how

1 that would need to be handled. We're in very close  
2 contact also with our investigators to get their  
3 input here and to understand what the patient  
4 situation is exactly about.

5 DR. ROTH: Thank you.

6 DR. MacDONALD: Tobey MacDonald, Emory  
7 University. A couple more questions with regard to  
8 mechanism of the drug and appropriate selection of  
9 patients or interpretation of results. Rather than  
10 expression of the receptor, did you look at  
11 downstream signaling? And was there any  
12 correlation with responses in pre-clinical or  
13 clinical models?

14 DR. MELEMED: I'll have Dr. Stancato discuss  
15 what we have regarding the pathway for olaratumab.

16 DR. STANCATO: So regarding downstream  
17 signaling elements, in particular phospho-AKT,  
18 phospho-ERK, we have looked at the effect of drug  
19 treatment on tumors, and we see a cessation or  
20 basically a blockage of stimulating element like  
21 AKT or phosphorylation. However, to this point, we  
22 don't see any correlation.

1           Actually, let me think about how I want to  
2 address that. In almost all cases with olaratumab  
3 treatment, we see blockade of the signaling  
4 pathways. That doesn't necessarily always tie into  
5 an anti-tumor effect. But we don't get an anti-  
6 tumor effect in the absence of signaling blockade,  
7 if that makes sense.

8           DR. MacDONALD: Yes, it does. So my next  
9 question is, you didn't show -- are there other kIT  
10 family members of which this antibody binds to?

11          DR. STANCATO: Not that we're aware of.

12          DR. MacDONALD: Okay. And also the  
13 same -- so for the rhabdomyosarcoma xenograft with  
14 PDGF receptor expression, but did not seem to  
15 respond to the drug --

16          DR. STANCATO: Correct.

17          DR. MacDONALD: -- have you looked at either  
18 mechanism of resistance or an alternative mechanism  
19 for survival for that cell?

20          DR. STANCATO: We have not, and it's  
21 something that we plan on doing. Really, to do  
22 what you're asking, we need a more expansive set of

1 tumor models so we can then begin to compare and  
2 contrast. So the answer to your question is no,  
3 but it is in the plan once we can identify models  
4 that meet that criteria.

5 DR. MacDONALD: Just one suggestion. If you  
6 block receptor signaling in the PDGF receptor,  
7 there is cross-localization with the eGFR receptor,  
8 and you can actually activate eGFR through that  
9 mechanism. So again, I'm not sure if you've looked  
10 at alternative partners for signaling because that  
11 could be an explanation for some of your responses  
12 or lack thereof.

13 DR. STANCATO: Yes. You are correct, and in  
14 particular, even within the PDGF receptor family,  
15 the beta receptor can heterodimerize with alpha.  
16 And we're exploring what effect that has on  
17 olaratumab treatment and efficacy.

18 DR. MASCARENHAS: Leo Mascarenhas,  
19 Children's Hospital Los Angeles. I have a couple  
20 of questions related to the JDG trial and a  
21 question about toxicity. So that pivotal trial had  
22 a very modest effect on progression-free survival,

1 but an impressive effect on overall survival.

2 What do you postulate that difference to be  
3 due to?

4 DR. MELEMED: We did see effect both in PFS  
5 and overall survival, and it was more prominent in  
6 overall survival. I'm going to have Dr. Wacheck  
7 come and discuss that from an olaratumab  
8 perspective.

9 DR. WACHECK: Historically in soft tissue  
10 sarcoma, the correlation between PFS benefit and  
11 overall survival benefit is not a strong one.  
12 There have been studies showing PFS benefit, but no  
13 OS benefit. In our setting, there was an  
14 improvement in both, but it isn't in the range of  
15 the literature that this concordance between PFS,  
16 or in other words, the predictive value of PFS for  
17 OS also in our study underestimated the OS effect  
18 based on the progression-free survival.

19 There is thinking about biological  
20 rationale, and we are looking in the pre-clinical  
21 models to further understand given the fact that we  
22 not only have an effect on the tumor, but as well

1 on the microenvironment to understand whether that  
2 provides some rationale here to think that the  
3 overall survival benefit is seen.

4 For the further development, it begs for us  
5 the question what is an appropriate endpoint to  
6 study in an efficacy study moving on. And to that  
7 extent, we would greatly also appreciate the  
8 feedback of this committee, whether that should be  
9 OS or whether there are other endpoints potentially  
10 being considered, given a particular pediatric  
11 population that is a relatively far endpoint with  
12 overall survival.

13 DR. MASCARENHAS: Yes. So in pediatrics,  
14 most often, PFS correlates extremely well with  
15 overall survival, and so it's different. I mean,  
16 to that extent -- I think we have one example in  
17 osteosarcoma where it was slightly discordant, but  
18 otherwise, in general, almost every trial -- if I'm  
19 not mistaken, PFS has correlated extremely tightly  
20 with overall survival, so that's a relevant issue.

21 The next thing, just given the mechanism of  
22 olaratumab and the pathway, there could be some

1 concerns with wound healing. So what was your  
2 experience on the JGDG trial, and if there were any  
3 issues, how were they managed and handled with  
4 relationship, especially being treated to an  
5 antibody?

6 DR. WACHECK: To the best of my knowledge, I  
7 don't recall any particular case in that study  
8 where that was a major point of discussion. And by  
9 that, we lack here experience to share how that was  
10 handled.

11 I think you're also thinking about the  
12 biology in normal tissue and the fact that we were  
13 in a metastatic setting, so the patient didn't have  
14 any immediate surgery here, made it maybe less  
15 likely to observe.

16 DR. MASCARENHAS: Yes. So just an  
17 osteosarcoma, potentially, I mean, surgery has a  
18 pretty big role both in newly diagnosed as well as  
19 metastatic disease and recurrent disease. So that  
20 may be of some relevance and that's the reason I  
21 asked that question.

22 The last question was related to

1 hematopoietic toxicity. So when olaratumab was  
2 combined with doxorubicin, there was a little more  
3 hematological toxicity. And my question is, have  
4 you noticed a difference in your studies thus far  
5 with the higher dose of 20 milligrams per kilogram  
6 versus 15 milligrams per kilogram in combination  
7 with chemotherapy as related to complications with  
8 myelosuppression?

9 DR. WACHECK: So we have seen with other  
10 chemo combinations that it can -- agrees  
11 [indiscernible] known myelosuppression for these  
12 specific compounds, but not that the 20 milligram  
13 per kilogram had a significant effect in terms of  
14 the higher incidence of any myelosuppression in  
15 this study, certainly still on small sample size.  
16 And we have studies currently running to look in a  
17 larger population with a 20 milligram per kilogram.  
18 So I think at the moment it's a preliminary answer  
19 I can give.

20 DR. MASCARENHAS: Thank you.

21 DR. GORLICK: Richard Gorlick, MD Anderson  
22 Cancer Center. Sorry, once again, a series of

1 questions. My first one is very much in the weeds.  
2 So HU09, I know, is a cell line, not as a PDX  
3 model. Do you have it as a PDX model, or is this a  
4 cell line growing in PDXs?

5 Similarly, I'm not familiar with the CTG1095  
6 line. Do you know the source of that line or  
7 anything about its characterization?

8 DR. MELEMED: I would love to answer those,  
9 but I think I'm going to call on Lou Stancato for  
10 that.

11 DR. STANCATO: Regarding the HU09, you're  
12 correct. It's a cell line that then is grown as a  
13 more or less traditional xenograft model. The CTG  
14 is actually one of the few commercially available  
15 patient drive xenograft models. And in this case,  
16 it was from Champions. I'm not sure on the  
17 treatment status of that model coming in, but it's  
18 definitely an osteosarcoma PDX model.

19 DR. GORLICK: Cool. PDGFR seems to be a  
20 biomarker of response to this class of drugs. Have  
21 you thought about making PDGFR expression a  
22 requirement for treatment? Do we know whether the

1 expression is driven by amplification or other  
2 things that make it irreversible?

3 Then the final part of that is, how have you  
4 looked to explore where PDGF is expressed in  
5 pediatric tumors to try to identify potentially  
6 sensitive histologies?

7 DR. MELEMED: In the phase 2 pivotal trial,  
8 we did specifically look at PDGF expression, and  
9 I'll have Dr. Wacheck discuss that specifically and  
10 then discuss what other options we're looking at.

11 DR. WACHECK: As mentioned, we looked in the  
12 phase 2 study with two different immune  
13 histochemistry assays. The first one that was also  
14 used for the stratification in that study showed an  
15 89 percent expression in the tumor samples  
16 assessed. We learned later, retrospectively, that  
17 the specificity of that assay wasn't that high a  
18 source detecting the better form of the PDGF  
19 receptor.

20 Using another assay for the assessment, we  
21 have seen in about 36 percent of the patient  
22 positive expression, which links with some TCGA

1 data, showing that for an mRNA level, a similar  
2 range of positivity has been observed. We also  
3 moved on in looking into mRNA expression in these  
4 particular patients and whether the PDGF receptor  
5 mRNA correlates here.

6 But in line with the data we observed for  
7 the histochemistry, mRNA didn't help here to  
8 further identify patients that might particularly  
9 benefit from treatment. So at the moment, we don't  
10 have any plan to develop a biomarker around the  
11 PDGF receptor alpha.

12 DR. GORLICK: The final part of it was, how  
13 did you look through pediatric tumors, with TCGA,  
14 or did you use anything to try to look at what  
15 pediatric tumors may express PDGF?

16 DR. WACHECK: For that, I would like to  
17 invite Dr. Stancato to speak to the data generated.

18 DR. STANCATO: So with regard to your  
19 question about expression of the receptor, a  
20 combination of TCGA analysis as well as  
21 immunohistochemistry across a variety of pediatric  
22 histotypes.

1 DR. GORLICK: Next question is, I know we've  
2 talked about this topic in many ways, but I sort of  
3 wanted to touch on it more directly. I am  
4 inferring that you have not given olaratumab plus  
5 doxorubicin in the context of a prior doxorubicin-  
6 treated patient. Are you worried about that? Are  
7 you going to, when you approach that, limit the  
8 prior anthracycline exposure?

9 What data do you have about giving  
10 olaratumab to patients at each amount of  
11 doxorubicin exposure?

12 DR. MELEMED: I'll just say in  
13 general -- but I'll have Dr. Wacheck discuss in a  
14 little more detail. But the intent right now for  
15 the trial is to do dox naïve. Again, I think the  
16 challenge could be enrolling that population. But  
17 that's the plan right now.

18 Dr. Wacheck, want to talk any more about  
19 what the plans would be or what else we could be  
20 doing there?

21 DR. WACHECK: Yes. As I mentioned, the  
22 cumulative toxicity is one that limits here the

1 opportunity to look in that question in particular.  
2 We don't have a dedicated study to exactly  
3 addressing the question you are asking here, and  
4 for that reason, I don't have really any data I can  
5 offer for you.

6 DR. GORLICK: Extrapolating on that answer  
7 or further, to just clarify, so the JGDN study  
8 precludes prior anthracycline exposure? Is that  
9 true?

10 DR. MELEMED: Yes. The question back to the  
11 panel would be, would you change design, what would  
12 you do? I mean, I think the concern would be, most  
13 kids are getting a cumulative dose of dox, which is  
14 going to be significant. How would you model that,  
15 and would you add that?

16 We thought so far not to, but there is going  
17 to be I think a challenge of enrollment in that  
18 population. But there is that risk-benefit  
19 question here, and I'd love your advice on that.

20 DR. GORLICK: Are you limiting histologies  
21 in that JGDN study or it's all-comers?

22 DR. MELEMED: It's all-comers. It's a

1 standard phase 1.

2 DR. GORLICK: Any reason to believe that  
3 olaratumab is going to be effective as a single  
4 agent, or do you think it needs to be combined for  
5 likely efficacy?

6 DR. MELEMED: I'll have Dr. Wacheck discuss  
7 that, but in general, it's thought as being in  
8 combination.

9 DR. WACHECK: I have a two-part answer for  
10 you. Most activity I think is really in  
11 combination with the chemotherapy, but there's  
12 anecdotal evidence, not only pre-clinical but also  
13 in clinical, for instance, in the glioblastoma  
14 study discussed before, where patient had a partial  
15 response to the treatment.

16 We don't have any biomarker at the moment  
17 that would help us here to predict it, but I cannot  
18 exclude that there are patients with single-agent  
19 activity.

20 DR. GORLICK: Thank you.

21 **Questions to the Subcommittee and Discussion**

22 DR. PAPPO: Thank you very much. And we do

1 not have any more time for questions. Sorry. We  
2 have to move to the next part of the agenda. There  
3 will not be an open public hearing session during  
4 this part of the meeting.

5 We will now proceed with the questions to  
6 the committee and panel discussions. I would like  
7 to remind public observers that while this meeting  
8 is open for public observers, public attendees may  
9 not participate except at the specific request of  
10 the panel. We should start with the first  
11 question.

12 DR. DOROS: Based on the nonclinical and  
13 clinical data presented, please comment on the  
14 relevant cancers that should be studied and  
15 potential endpoints that could be used in future  
16 clinical trials designed to evaluate the efficacy  
17 of olaratumab in pediatric patients?

18 DR. PAPPO: If there are no questions or  
19 comments concerning the wording or the question, we  
20 will now open the questions for discussion.

21 Richard?

22 DR. GORLICK: Richard Gorlick still. So I

1 think critical is actually evaluating a little bit  
2 more detail, the spectrum of pediatric tumors  
3 that's sort of express PDGF and sort of enhancing  
4 the pre-clinical data. Clearly, initiatives like  
5 the PPTC could be used to profile. Again, I don't  
6 know offhand whether they express PDGF receptor or  
7 not, but that should be easy to ascertain and could  
8 give you some histologies.

9           The challenge for developing any therapy  
10 like this is in the context of sarcomas is just  
11 about every sarcoma patient who has a  
12 chemosensitivity disease will see anthracyclines  
13 with rare exceptions. The rare exceptions probably  
14 don't lead to enough patient availability to ask a  
15 meaningful question. I think for pediatrics, it's  
16 going to be critical to tackle the topic of this  
17 drug combined with dox or single agent at minimum  
18 after doxorubicin.

19           I think the challenge is going to be single  
20 agent. If we're not convinced it's efficacious,  
21 you're going to have to figure out what you can  
22 combine with post-anthracycline to give a

1 meaningful chance of seeing activity. Thank you.

2 DR. PAPPO: Leo?

3 DR. MASCARENHAS: So I agree with Richard,  
4 and I think I would encourage a generation of more  
5 pre-clinical data if possible, particularly with  
6 rhabdomyosarcoma. I think to seek collaborations  
7 because there are several rhabdomyosarcoma  
8 xenograft models, so at least you can expand the  
9 pool with that area. The PPTC, as Richard said, is  
10 another option. And in terms of expression,  
11 potentially with tissue microarrays and various  
12 patterns of -- I mean, tissue arrays of various  
13 patterns of pediatric tumors might be an option.

14 The interesting thing is given the mechanism  
15 of action and history with certain other tumors,  
16 rhabdoid tumors are particularly interesting. I  
17 think there was a St. Jude clinical trial.

18 Was it called ANGIO-1 [ph] study, where  
19 there was a patient who was treated with their  
20 anti-angiogenic therapy with a rhabdoid tumor who  
21 had prolonged partial response? And your pre-  
22 clinical data, that's an area of great need

1 potentially in pediatrics, a very poor prognosis  
2 tumor where you may not necessarily need to do a  
3 randomized trial or anything. The historical  
4 controls are very, very stable in that particular  
5 disease. So potentially a single-arm combination  
6 trial might be worthwhile.

7 The other area or two areas potentially  
8 where there is a potential role is in hepatic  
9 tumors. And these are rarer populations in  
10 pediatrics, but there is data to suggest that there  
11 may be some activity of these compounds.

12 The other thing is in a subset of renal  
13 tumors. For example, you have poor outcomes in  
14 patients with anaplastic Wilms tumor as well as in  
15 certain relapsed Wilms tumor who have been pre-  
16 exposed to anthracyclines and radiation therapy.

17 So there may be some opportunities to do  
18 some pre-clinical work with this agent to explore  
19 further development.

20 DR. PAPPO: Thank you. There was also other  
21 tumors such as desmoplastic Moran's small round-  
22 cell tumors that the chimeric transcript increases

1 PDGFR expression. It's a very rare tumor with a  
2 high need because those patients are usually  
3 incurable.

4 Can I address the sponsor for a question  
5 also?

6 Is PDGFR expression necessary on all of  
7 these tumors? Because I am -- or are you  
8 modulating other things like the microenvironment,  
9 et cetera, that perhaps that's what you're doing,  
10 and it's not necessarily related to the primary  
11 tumor. And that dates back to do we need to screen  
12 lots of other tumors and only those that have PDGFR  
13 expression are the ones that you're going to target  
14 or not?

15 DR. STANCATO: So you rightfully point out  
16 that receptor expression exists both on the tumor  
17 and the supporting environment; in particular, with  
18 cancer-associated fibroblasts, which is an area  
19 that we're becoming increasingly interested and  
20 excited in for further research.

21 What I can tell you about the pediatric  
22 tumors, the non-clinical models that we work on,

1 receptor expression is necessary, but not  
2 sufficient. So we have models that express  
3 receptor that we've shown you today that do respond  
4 to olaratumab treatment, and we have models that  
5 express the receptor that do not. Why that is,  
6 we're still trying to understand.

7 DR. PAPPO: But if they don't express it,  
8 [inaudible - off mic].

9 DR. STANCATO: If there's no expression thus  
10 far, we have not found pediatric tumors that  
11 respond.

12 DR. REAMAN: What about the adult sarcomas?  
13 Can you comment on those?

14 DR. STANCATO: Non-clinically? So  
15 non-clinically, the adult sarcomas represent a bit  
16 more of a challenge as far as identifying models  
17 that respond. By and large, we see the greatest  
18 response rate, if you will, in pediatric tumors.  
19 Just a very limited subset of PDGF receptor alpha  
20 expressing adult models respond. But again, the  
21 same holds true. Receptor expression is necessary,  
22 but not sufficient.

1 DR. MELEMED: I'm going to add from the  
2 clinical perspective that in the PGF alpha  
3 antibodies that we looked at, we did not see a  
4 correlation. Now, it may not be the right tumor.  
5 It might not be the right stromal, how we did it.  
6 We're still further evaluating on how to do this,  
7 but it wasn't a standard response.

8 DR. MASCARENHAS: I just feel previously to  
9 share some thoughts about clinical trial design for  
10 evaluating this drug. I completely agree with  
11 Dr. Weigel that a double-blind placebo-controlled  
12 trial is not going to be feasible in pediatrics,  
13 and it's not attractive. People just want to  
14 enroll on that particular design.

15 If considering a trial in rhabdomyosarcoma,  
16 we now have robust progression-free data in first-  
17 relapse rhabdomyosarcoma, and I believe that should  
18 be the endpoint, either EFS or progression-free  
19 survival in that disease because we have almost  
20 15 years of studies, which have really in  
21 pediatrics delineated that endpoint well.

22 Osteosarcoma is a little more challenging.

1 I think Dr. Gorlick can comment a little more, but  
2 there are some benchmarks that Children's Oncology  
3 Group has come up with in the a relapse setting  
4 potentially. Consideration for randomization in  
5 the metastatic population may be feasible depending  
6 on the strength of pre-clinical data potentially  
7 generated. I did comment on rhabdoid tumor  
8 already.

9 The last thing is a randomized phase 2 pick-  
10 the-winner design is also an efficient way to  
11 consider moving it ahead. It may not be sufficient  
12 for registration, but would definitely give us an  
13 idea about further developing this agent within  
14 pediatrics.

15 MS. LUDWINSKI: Donna Ludwinski, Solving  
16 Kids' Cancer. I also was curious with regard to  
17 the single-agent use, if there's any rationale to  
18 look at it in an MRD setting such as after  
19 primarily metastases are removed and osteo. It may  
20 not be applicable, but it's a question.

21 DR. PAPPO: Any other comments or questions?  
22 Richard?

1 DR. GORLICK: Just responding to what Leo  
2 said, what's being alluded to is in the context of  
3 osteosarcoma, you have a very robust historical  
4 control. So it's relatively easy to do single-arm  
5 phase 2 trials against the historical control  
6 because it is so stable. The biggest factor that  
7 defines prognosis in this group of patients is  
8 resectability.

9 So you can do it in an MRD state or in a  
10 bulk disease state. They're relatively  
11 straightforward small patient trials. Again, the  
12 challenge that was mentioned prior is all of these  
13 patients will have had 450 milligrams per meters  
14 squared of doxorubicin at the time of relapse and  
15 that's universal.

16 DR. PAPPO: Any additional questions or  
17 comments regarding this question?

18 (No response.)

19 DR. PAPPO: So I want to try to summarize  
20 this. So the first thing is that we recommend that  
21 you expand your spectrum of testing using the PPTC  
22 and other xenografts and also try to identify PDGFR

1 expression in pediatric tumors that could  
2 potentially benefit from this agent.

3 In the setting of a relapse trial, it will  
4 be probably relatively hard to accumulate patients  
5 with sarcomas since the vast majority of these  
6 patients have had anthracyclines with pretty large  
7 doses, 375 to 450 milligrams per meter squared.

8 Other potential histologies that should be  
9 given consideration to the use of this agent  
10 include rhabdoid tumor. That's a great medical  
11 need, also liver tumors, subsets of renal tumors,  
12 and desmoplastic small round-cell tumor.

13 In addition, we also discussed the fact that  
14 PDGFR expression is necessary but not sufficient  
15 for the agent to have activity. Therefore, we  
16 truly support your strategy to continue to test  
17 virus, pediatric tumors for PDGFR expression to  
18 potentially select subsets of histologies that  
19 could benefit from this agent.

20 As far as protocol design, one of the  
21 possibilities would be a randomized phase 2 pick-  
22 the-winner trial. Another potential use would be

1 single-agent olaratumab in patients who have had  
2 all of their pulmonary metastatic disease in  
3 osteosarcoma resected.

4 I think that's pretty much it. Did I miss  
5 anything? Yes?

6 DR. MELEMED: Can I ask a question? This is  
7 a question for the FDA because we've heard about  
8 pick-the-winner trials before. What is the FDA's  
9 perspective on using a pick-the-winner trial to  
10 meet requirements from that aspect? So it helps us  
11 to understand from an FDA perspective.

12 DR. REAMAN: I guess I would just ask what  
13 do you mean by requirements. I mean, we're here  
14 really to discuss the design of studies that could  
15 be incorporated in a written request. We recognize  
16 that not all of the studies in a written request  
17 are ultimately going to be designed to potentially  
18 lead to approval for a specific indication given  
19 the challenges in doing pediatric studies, the  
20 length of time that might be required, and the fact  
21 that there may actually be no exclusivity incentive  
22 available to the sponsor for trials that look at

1 definitive efficacy.

2 DR. MELEMED: So I'll clarify. So a written  
3 request, you'd say that --

4 DR. REAMAN: So to answer your specific  
5 question, a randomized phase 2 study, a pick-the-  
6 winner study as part of a development plan that  
7 would be incorporated in a written request would be  
8 quite appropriate.

9 DR. MELEMED: Then the next step for -- if  
10 you wanted actually labeling for an indication --

11 DR. REAMAN: And then the next step would be  
12 a study that would be designed with input from the  
13 agency pending the results of the pick-the-winner  
14 study.

15 DR. MELEMED: Thank you.

16 DR. REAMAN: Can I just add one more? I  
17 think the other tumors that might be appropriate to  
18 evaluate here would be the non-rhabdo soft tissue  
19 sarcomas. Although they're relatively uncommon in  
20 the pediatric age group and very heterogeneous, it  
21 was those tumors in adults that you saw activity.  
22 So I think that's certainly one group that could be

1 considered.

2 Just another note -- and I am fully  
3 supportive of an enrichment strategy or at least a  
4 plan to evaluate whether there is a requirement for  
5 PDGF receptor expression. But I would encourage  
6 you to think about any assay that you develop  
7 yourselves or co-develop with another sponsor to  
8 seek input from the Center for Devices early on as  
9 you develop that, as either a complementary  
10 diagnostic or a companion diagnostic.

11 DR. PAPPO: Thank you. We will now proceed  
12 to question number 2.

13 DR. DOROS: Please comment on the safety  
14 profile of single-agent olaratumab and possible  
15 toxicities that may be seen when olaratumab is  
16 added to multi-agent combination therapy in  
17 pediatric patients.

18 DR. PAPPO: If there are no questions or  
19 comments concerning the wording of the question, we  
20 will now open the question to discussion. Brenda?

21 DR. WEIGEL: So to summarize a lot of  
22 comments made around the table, I think one of the

1 challenges is that olaratumab appears to be  
2 targeted for development in combination  
3 predominantly with doxorubicin. And doxorubicin is  
4 pretty much part of most upfront regimens for the  
5 vast majority of pediatric solid tumors. And the  
6 adult data thus far that is presented is in  
7 doxorubicin- or anthracycline-naïve adults.

8 So a couple thoughts on potential strategies  
9 and other approaches, we certainly have developed  
10 drugs and done early-phase trials in pediatrics in  
11 combination with doxorubicin. The two things I  
12 would encourage some thought around are a potential  
13 strategy is taking the combination into very high-  
14 risk pediatric populations that are newly  
15 diagnosed.

16 So I think that is a possibility to  
17 consider. Those are small populations, but those  
18 are potentially doable with some of the histologies  
19 that have already been mentioned, desmoplastic  
20 small round-cell and other rhabdoid tumors, some of  
21 the highest risk hepatic tumors, et cetera.

22 I think that's one potential strategy. I

1 think another strategy is to obtain data in adults  
2 who have had anthracycline exposure. And then  
3 typically, when we have had that data, we have been  
4 able to very quickly design an early-phase or  
5 phase 2 trial in children where we have very clear  
6 historical cutoffs of anthracycline exposures,  
7 monitoring and have mandated the use of  
8 cardioprotective strategies, and have not required  
9 a lot of additional data. But we have had the data  
10 at least in a small subset of adults, recognizing  
11 that the cardiac risk factors in adults are very  
12 different than children. However, I think there  
13 are a couple strategies to address that.

14 But I do think, given the tremendous, I  
15 think, push to move this into upfront therapy with  
16 anthracyclines requires some thought behind the  
17 next steps.

18 DR. MASCARENHAS: So I think there is some  
19 useful data which will be generated from the JGDN  
20 trial with the arms, which potentially could  
21 address treatment cassettes, which are used in  
22 upfront therapy, particularly with ifosfamide and

1 its relevance to osteosarcoma.

2 I think the doxorubicin cohort is going to  
3 be challenging, but potentially could understand  
4 that we do have precedent in pediatrics for taking  
5 the doxorubicin dose up to 600 milligrams per meter  
6 squared and higher in osteosarcoma patients. So a  
7 potential strategy could be to allow doxorubicin  
8 exposure, provided your exposure is up to  
9 450 milligrams, and mandate cardiac protection for  
10 all those patients.

11 For example Ewing sarcoma patients are given  
12 typically 375 milligrams per meter squared. They  
13 would likely receive a first-salvage therapy, which  
14 everybody considers the standard. But after that,  
15 it's any therapy, and they become candidates for a  
16 phase 1 trial.

17 Similarly, osteosarcoma patients, a frequent  
18 question, which is asked by parents is those drugs  
19 worked well the first time; why don't you use them  
20 again? Now, we know the reasons why we don't use  
21 them again, but there is also old data from the  
22 '70s where doxorubicin was used as palliation for

1 patients with sarcoma who previously had received  
2 doxorubicin and did have some temporal benefit for  
3 that.

4 So there is precedent to actually  
5 potentially considering modifying the trial, if you  
6 have issues related to accrual on the doxorubicin  
7 arm, to actually get data, which would make you  
8 consider using an upfront.

9 I fully agree with Dr. Weigel's suggestion  
10 about very high-risk patients like rhabdoid tumors  
11 or widely metastatic rhabdomyosarcoma potentially,  
12 high-risk disease, infusion-positive  
13 rhabdomyosarcoma, may be something to consider.

14 DR. RAETZ: Elizabeth Raetz. So one of the  
15 things that I noticed -- and it seems like the  
16 monotherapy was well tolerated, but there was over  
17 50 percent of patients who had greater than grade 3  
18 neutropenia. And importantly, it didn't look like  
19 that led to a high number of infectious  
20 complications. But in a pediatric population, that  
21 might be important to follow.

22 I might have missed it, but just with the

1 design of the part A and part B of the dose  
2 escalation, I didn't know if within this, you're  
3 going to separately look at olaratumab with  
4 different combination cassettes, but you might have  
5 very different toxicity profiles. So I think to  
6 optimize the dose of the antibody, it might be  
7 important to look at that distinctively with each  
8 of the populations.

9 DR. ANGIOLILLO: Anne Angiolillo. Thank  
10 you. As you're considering different tumor types,  
11 I think it'd be interesting to look at the  
12 microenvironment in the bone marrow because we  
13 know, in the world of leukemia at least, that's all  
14 revved up with regards to angiogenesis, so just  
15 something to consider.

16 DR. GORLICK: The COG has conducted and  
17 published a study of trastuzumab plus upfront  
18 chemotherapy for patients with newly diagnosed  
19 metastatic osteosarcoma. It's a model for how you  
20 can conduct that type of trial, that has a  
21 cardiotoxicity risk.

22 DR. PAPPO: Any additional questions or

1        comments regarding question number 2?

2                    (No response.)

3                    DR. PAPPO:    So I'm going to try to summarize  
4        this.    It is clear that perhaps the path forward is  
5        a combination trial, olaratumab with a variety of  
6        agents.    Specifically as it relates to doxorubicin,  
7        one potential strategy to use this combo is to  
8        apply to newly diagnosed high-risk patients, for  
9        example patients with metastatic sarcomas or  
10       patients with widely disseminated rhabdoid tumors  
11       or other histologies.

12                   Also, it will be important to get additional  
13        information from the adults regarding the  
14        anthracycline exposure and what were the outcomes  
15        of these patients.

16                   There is a precedence in pediatrics to  
17        giving higher doses of doxorubicin, up to 600  
18        milligrams per meter squared.    So one potential  
19        strategy could be to allow previous treatment with  
20        doxorubicin up to a certain dose, whether it's 375  
21        or 450, and then add cardioprotection and do an arm  
22        of olaratumab with doxorubicin.

1           It is important to consider that the  
2 toxicity profile of different cassettes in  
3 combination with olaratumab might be very  
4 different, so that's something to keep an eye on.

5           There is also a precedence for incorporating  
6 an agent in patients with metastatic osteosarcoma  
7 with very, very strong monitoring of  
8 cardiotoxicity, which was the trastuzumab trial.  
9 So that may potentially serve as a model for  
10 something in newly diagnosed metastatic patients  
11 with osteosarcoma.

12           Do I have any other things that I missed or  
13 that summarized it?

14           (No response.)

15           DR. PAPPO: Okay. We will go to question  
16 number 3 now.

17           DR. DOROS: Please comment on the  
18 feasibility of or requirement for international  
19 cooperative group collaboration in a future  
20 efficacy study.

21           DR. PAPPO: If there are no questions or  
22 comments concerning the wording of the question, we

1 will now open the question to discussion. Richard?

2 DR. GORLICK: So in the context of  
3 osteosarcoma, we conducted the EURAMOS study, which  
4 was an international European and North American  
5 consortium to answer a multitude of questions in  
6 osteosarcoma patients.

7 The need for an international collaboration  
8 was driven by the use of a biological  
9 stratification. If you're sort of assessing a  
10 single agent, you probably can do that in the  
11 context of a single cooperative group like the  
12 Children's Oncology Group without requiring  
13 international collaboration.

14 International collaboration is challenging.  
15 The EURAMOS study took multiple years to develop  
16 because of regulatory requirements by multiple  
17 groups in Europe along with North America. That  
18 said, it's feasible. It can be done if the  
19 question demands that sample size.

20 So the EURAMOS study had an over  
21 2,000-patient accrual, and it has been completed  
22 and the results published, so it is feasible.

1 DR. MASCARENHAS: I echo Dr. Gorlick's  
2 comments. I think, again, larger studies are going  
3 to require a cooperative group or a major  
4 consortium to actually conduct, and particularly  
5 with rare subtypes of tumors. I think the  
6 Children's Oncology Group has successfully  
7 demonstrated the ability to conduct randomized  
8 phase 2 trials in rhabdomyosarcoma, and those could  
9 be completed if an appropriate comparator is found  
10 to be studied.

11 For rhabdoid tumors, a single-arm  
12 cooperative group study could be feasibly  
13 accomplished, but if a randomized trial is desired,  
14 it has to be an international collaboration, even  
15 if it is a randomized phase 2 for the number of  
16 patients, which you might need to address that  
17 issue.

18 DR. PAPPO: I would also like to add that  
19 another potential strategy would be to lower your  
20 age of eligibility if you're going to combine  
21 olaratumab with something else in a specific  
22 histology where some pediatric patients can be

1 treated.

2 For example, if you do a specific study with  
3 synovial sarcoma, why restrict it to 18 and older?  
4 You can put patients of 12 years of age or older,  
5 and that could encompass the vast majority of that  
6 subgroup of patients, and you would increase your  
7 sample size without needing to do an international  
8 study.

9 There's also more data and evidence to  
10 suggest that, for example, COG now has  
11 collaborative initiatives with liver tumors, for  
12 example, so it's a more common thing that we're  
13 seeing now. And I agree with Leo that for very  
14 small populations, it may be very necessary to do  
15 an international trial if you're going to target,  
16 for example, only rhabdoid tumors. Or if you want  
17 to target very rare PDGFR-expressing solid tumors,  
18 for example, I believe that you would need an  
19 international strategy there.

20 Greg?

21 DR. REAMAN: I would just also support the  
22 concept of international collaboration because I

1 suspect that there's a plan to work with the  
2 European regulatory agency. And I think it  
3 actually facilitates clinical trial design, and  
4 conduct, and completion if there is some agreement  
5 to collaborate, cooperate, and coordinate  
6 development plans and not have competing studies.

7           So although the EURAMOS study was difficult,  
8 it was difficult because it was the first  
9 NCI-supported cooperative group study that was done  
10 internationally, and there were no guidelines, and  
11 we basically created the guidelines as we developed  
12 the EURAMOS collaboration.

13           I think things would be much easier now,  
14 quite honestly, too. And then there have been  
15 multiple international collaborative studies done  
16 in diseases, particularly in rare diseases, in  
17 Ph-positive ALL and in the chronic myelogenous  
18 leukemia.

19           So I wouldn't discount or I wouldn't be  
20 overly concerned about the regulatory hurdles of  
21 international collaboration as you think about  
22 these studies because I think the issue of finding

1 doxorubicin-naïve patients is really going to  
2 create a rare population of patients. It's going  
3 to mandate international collaboration.

4 DR. PAPPO: Any additional questions or  
5 comments regarding this question?

6 (No response.)

7 DR. PAPPO: So to summarize very briefly, we  
8 are supportive of international collaborative  
9 studies, and the challenges that were faced earlier  
10 might have been mitigated now that we have a little  
11 bit more experience on how to conduct these  
12 studies.

13 We will now proceed to question number 4.

14 DR. DOROS: Please comment on the sponsor's  
15 plan to evaluate platelet-derived growth factor  
16 receptor expression in pediatric cancers during  
17 their proposed development program.

18 DR. PAPPO: If there are no questions or  
19 comments concerning the wording of the question, we  
20 will now open the question to discussion.

21 DR. MASCARENHAS: I'm sorry. Can we clarify  
22 the sponsor's plan on this? Because I didn't sort

1 of hear a clear plan.

2 DR. WACHECK: For the pediatric study, it is  
3 an exploratory objective to look at that, and we  
4 have an optional biomarker sampling here. I think  
5 the question might be asked in the broader context.  
6 Given that we have a mandatory tissue collection in  
7 the adult study ongoing with the phase 3, what is  
8 your perspective in terms of implementing in a  
9 future pediatric study the use of biomarker around  
10 PDGF receptor alpha?

11 DR. MASCARENHAS: I think requesting  
12 archived tissue of its newly diagnosed patients'  
13 diagnostic tissue to assess this is not going to be  
14 a problem. The pharmacodynamic studies do look at  
15 signaling post-exposure, if there's no planned  
16 surgery in pediatrics, that could potentially be a  
17 problem. However, if it's going to be used up  
18 front in the diagnosis of high-risk patients, often  
19 surgery is still a part of the plan, and there is  
20 an opportunity to evaluate that.

21 I don't think we are still at a point where  
22 we could mandate biopsies for the sake of research

1 purposes without a therapeutic decision being made  
2 on it, though that's an evolving field and might  
3 become feasible.

4 DR. REAMAN: I think one thing to take into  
5 consideration is if continuing pre-clinical  
6 evaluation does demonstrate a stronger correlation  
7 between expression and activity of this product in  
8 pediatric tumors, I think there could be an  
9 increasing role for mandated biopsy prior to going  
10 on studies, if there's really an opportunity that  
11 something is going to predict outcome.

12 So I wouldn't preclude that as a  
13 possibility, but again, it would really depend on  
14 how strong the data is for a correlation.

15 DR. ROTH: Building on that, just a word of  
16 caution from the adult world in the example of  
17 metastatic urothelial carcinoma, where we have  
18 5 PD-1s approved for the last 12 weeks, some with a  
19 companion diagnostic, some without a companion  
20 diagnostic.

21 The word of caution is, I appreciate the  
22 data that you've not yet seen any evidence that

1 PDGFR expression was necessary for a response. It  
2 may not be true for the next tumor type. So for  
3 example, in urothelial carcinoma, the response rate  
4 in PD-1 high expressers over 1 percent was with the  
5 first drug approved as 26 percent. It was  
6 9 percent in PD-1-negative tumors. Well, it turns  
7 out that 9 percent is comparable to this current  
8 standard of care for second-line therapy, so why do  
9 the companion diagnostic?

10 So I just didn't want you to get -- this  
11 kind of becomes a self-fulfilling prophecy. You  
12 get boxed into a corner where all of a sudden, that  
13 becomes a requisite for eligibility because you  
14 might be missing something off target.

15 DR. GORLICK: Some will discuss the ethics  
16 of mandating archival tissue. And the ethics of  
17 mandating archival tissue are sometimes driven by  
18 the availability of the drug outside of the context  
19 of a clinical trial.

20 So for a drug that is available for other  
21 indications, the patient is now being denied the  
22 opportunity to get the drug because they could get

1 it outside the context of the clinical trial. So  
2 as long as it's a licensed agent, there should be  
3 no reason that you can't mandate the receipt of  
4 archival tissue.

5 The upfront biopsy and re-biopsy, I think in  
6 pediatrics, it still continues to be a standard  
7 that if it's a purely research question, the ethics  
8 of that is probably not the same as adults. If  
9 you're talking about whether it produces clinical  
10 benefit, then it becomes identical to the adult  
11 situation.

12 If you believe this has a negative  
13 predictive value, meaning absence of PDGF, the  
14 patient shouldn't be treated with this drug, there  
15 would be no problem mandating biopsy, particularly  
16 in a patient population where there's a risk of  
17 toxicity.

18 So if you would not give the antibody to  
19 somebody who doesn't express PDGF, then mandating  
20 that biopsy becomes very easy, but that becomes an  
21 eligibility criteria then for your trial, and you'd  
22 have to have sort of an assay that you can run in a

1 CLIA certified manner.

2 DR. MacDONALD: I think because you have  
3 such a robust signal of activity in the adult  
4 study, it's almost imperative to do your  
5 exploratory investigation.

6 I don't know what sequencing or other  
7 studies you're planning, but I think those results  
8 should be available, which would facilitate the  
9 idea of getting a biopsy mandated and also direct  
10 your attention rather than being a second  
11 exploratory, of which you don't know what you're  
12 looking for. But since you have the signal, you  
13 should be able to find some distinction between  
14 those two groups if you do a global-based approach.

15 DR. PAPPO: Can I ask the sponsor how are  
16 you evaluating PDGFR positivity in the adult  
17 trials, and how do you find that it's positive or  
18 negative? Is it by IHC or do you do PCR, or what  
19 do you do?

20 DR. S. MELEMED: So for the phase 3 study,  
21 we have the second IHC that we have validated to be  
22 specific to PGFR alpha, so we're doing that

1 retrospectively after the patients have been  
2 enrolled. In addition, we have the PCR-based assay  
3 that we're looking at as well.

4 DR. PAPPO: So both of those can be done on  
5 archival tissue, right, on paraffin-embedded  
6 tissue?

7 DR. S. MELEMED: That is correct.

8 DR. PAPPO: So that answers that. Thank  
9 you.

10 Any additional questions or comments  
11 regarding this question?

12 (No response.)

13 DR. PAPPO: So I think that the sponsor  
14 needs to think a little bit more about if they're  
15 going to require PDGFR expression in one way or  
16 another for eligibility of patients in this  
17 particular study. The issue of re-biopsy might be  
18 problematic unless there is an obvious clinical  
19 benefit or an obvious need for that to be a marker  
20 to administer the drug.

21 Let me see if I'm missing anything else.  
22 Anybody else want to add anything? Yes?

1 DR. REAMAN: I just want to clarify the  
2 issue of re-biopsy. So re-biopsy to me means you  
3 enroll a patient on the study, you have a biopsy,  
4 but then at some point during the study, you  
5 re-biopsy to either look for effect, some PD  
6 effect, or molecular affect.

7 What I was suggesting before was if there is  
8 strong data to support that the only patients who  
9 respond to this agent are those whose tumors  
10 express PDGFR, then there would be a potential  
11 prospect for direct clinical benefit for a patient  
12 to undergo a biopsy prior to going on therapy  
13 because you would be making a treatment decision  
14 based on the results of the biopsy. And  
15 alternatively, if their tumor was negative, there  
16 would be no reason for them to be exposed to a  
17 potentially toxic drug and enrolled on the study.

18 So I think biopsy and re-biopsy, re-biopsy  
19 in a relapsed protocol setting could be used as an  
20 eligibility requirement for that relapsed study.

21 DR. PAPPO: The fact that you can do this,  
22 though, on archived tissue brings the issue of

1 re-biopsy --

2 DR. REAMAN: I mean, obviously, if they can  
3 do it on archived tissue, that's fine.

4 DR. PAPPO: Right. Tobey?

5 DR. MacDONALD: But I would say that since  
6 we're not talking about an amplification or  
7 oncogenic driver, it's a very real possibility that  
8 your PDGFR expression could change over time and  
9 treatment. And that re-biopsy before treatment is  
10 probably a better indicator than the actual  
11 diagnostic biopsy.

12 DR. PAPPO: Thank you. Leo?

13 DR. MASCARENHAS: Sorry. Just a  
14 clarification. I'm a little confused with  
15 re-biopsy. My interpretation was, once you're  
16 enrolled in a trial, if you want a second biopsy to  
17 look at the effect of the drug, that was the biopsy  
18 which I was talking about, which may not be  
19 feasible in pediatrics at this time. But a  
20 diagnostic biopsy at the time of relapse prior to  
21 enrollment usually may be justifiable. Yes.

22 DR. PAPPO: Right. If you do not have

1 tissue available, that's a requirement for  
2 eligibility, yes.

3 DR. MASCARENHAS: Right. Yes, as a  
4 requirement for eligibility.

5 DR. PAPPO: I was talking about that type of  
6 re-biopsy, not a re-biopsy --

7 (Crosstalk.)

8 DR. REAMAN: It's definitely justifiable.

9 DR. MASCARENHAS: Yes. It is justifiable.

10 DR. WEIGEL: Yes. I would echo that. I  
11 think it's definitely justifiable, and particularly  
12 if it's tied to the real potential for benefit.

13 DR. PAPPO: The secondary biopsy to assess a  
14 pharmacodynamic effect, that's a completely  
15 different issue.

16 DR. MASCARENHAS: Yes, right.

17 DR. PAPPO: Thank you. We will now proceed  
18 to question number 5.

19 DR. DOROS: Given the recent approval of  
20 this product in adults with soft tissue sarcoma,  
21 please discuss whether evaluation of olaratumab in  
22 pediatric non-rhabdomyosarcoma soft tissue sarcoma

1 should be considered.

2 DR. PAPP0: If there are no questions or  
3 comments concerning the wording of the question, we  
4 will now open the question for discussion.

5 DR. WEIGEL: Brenda Weigel. I would say the  
6 short answer is yes, and the reason for that is the  
7 spectrum of non-rhabdo soft tissue sarcomas are  
8 different in children than they are in adults,  
9 predominantly. And a subanalysis was not presented  
10 from the adult trial, but historically, the adult  
11 trials have been mainly populated with liposarcomas  
12 and leiomyosarcomas that really do not occur in  
13 children.

14 Where we would be most interested in these  
15 tumor types have been certainly raised is tumor  
16 types such as synovial sarcoma, which tends to be  
17 the most common non-rhabdo soft tissue sarcoma in  
18 children. And there are a couple strategies that  
19 could address this, which would be a separate arm  
20 in a trial or expansion of adult trials to lower  
21 ages of those histologic subtypes.

22 I think there are a few approaches that

1       could be taken, but I do think we can't assume and  
2       lump all the non-rhabdo soft tissues together.

3               DR. MASCARENHAS: I echo Dr. Weigel's  
4       comments, but with some qualifications or a  
5       slightly different opinion. I don't think we would  
6       learn anything from a pediatric-specific non-  
7       rhabdomyosarcoma soft tissue sarcoma study.

8               However, participation in certain cohorts  
9       with separate analysis on an adult clinical trial,  
10       since a large number of these patients are  
11       teenagers and we don't expect the PK or the  
12       toxicity of this agent to be different -- and the  
13       examples would be synovial sarcoma, malignant  
14       peripheral nerve sheath tumor, and undifferentiated  
15       sarcoma -- the other subtypes are vanishingly rare,  
16       and there's limited information to be gleaned from  
17       pediatrics.

18               DR. PAPP0: Maybe you will have additional  
19       information with the ongoing trial about specific  
20       histologic subtypes that could potentially be  
21       expanded into a larger study for a specific  
22       histology. And that would be probably what would

1 be very advantageous for younger patients, 12 or  
2 older, to be enrolled in that trial.

3 Any additional comments or questions?

4 (No response.)

5 DR. PAPP0: So to summarize this question,  
6 the answer is yes, but in very specific cohorts and  
7 to consider the possibility of, if you're going to  
8 have a specific adult clinical trial for a specific  
9 histology in which there's a higher prevalence of  
10 that histologic subtype in pediatrics, to consider  
11 lowering the age to 12 or higher so they can be  
12 enrolled in that trial.

13 Anything else?

14 DR. MASCARENHAS: I would just ensure that  
15 the pediatric perspective is incorporated in the  
16 design of that clinical trial going forward, rather  
17 than just design it as an adult trial and then ask  
18 children to participate in it.

19 **Adjournment**

20 DR. PAPP0: Thank you very much. We will  
21 now take a 15-minute break. Panel members, please  
22 remember that there should be no discussion of the

1 meeting topic during the break, amongst ourselves,  
2 or with any member of the audience. We'll resume  
3 at 10:25 a.m., so it's a little bit longer than 15  
4 minutes.

5 (Whereupon, at 10:03 a.m., the session was  
6 adjourned.)

7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22