

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

PEDIATRIC SUBCOMMITTEE OF THE
ONCOLOGIC DRUGS ADVISORY COMMITTEE MEETING

Topic 2

Thursday, June 22, 2017

10:26 a.m. to 11:45 a.m.

FDA White Oak Campus
The Great Room
10903 New Hampshire Avenue
Silver Spring, Maryland

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Lauren Tesh, PharmD, BCPS**

4 Division of Advisory Committee and Consultant

5 Management

6 Office of Executive Programs, CDER, FDA

7

8 **ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)**

9 **Alberto S. Pappo, MD**

10 *(Chairperson, pedsODAC)*

11 Member and Head, Division of Solid Malignancies

12 St Jude Children's Research Hospital

13 Professor of Pediatrics

14 University of Tennessee Health Science Center

15 Memphis, Tennessee

16

17 **Courtney J. Preusse, MA**

18 *(Consumer Representative)*

19 Senior Research Administrator and CLIA Operations

20 Director

21 Fred Hutchinson Cancer Research Center

22 Seattle, Washington

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Bruce J. Roth, MD

Department of Internal Medicine

Division of Medical Oncology

St. Louis, Missouri

ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS

(Non-Voting)

Phuong Khanh (P.K.) Morrow, MD, FACP

(Industry Representative)

Executive Medical Director, Amgen Oncology

Therapeutic Area Head, US Medical Organization

One Amgen Center Drive

Thousand Oaks, California

1 **TEMPORARY MEMBERS (Voting)**

2 **Anne L. Angiolillo, MD**

3 *(Participation in Day 1, Topic 3, Participation in*
4 *Day 2)*

5 Director, Leukemia & Lymphoma Program

6 Division of Oncology

7 Center for Cancer and Blood Disorders

8 Children's National Medical Center

9 Professor of Pediatrics

10 The George Washington University School of

11 Medicine and Health Sciences

12 Washington, District of Columbia

13

14 **Carola A. S. Arndt, MD**

15 Professor of Pediatrics and Consultant

16 Division of Pediatric Hematology/Oncology

17 Department of Pediatric and Adolescent Medicine

18 Mayo Clinic College of Medicine and Science

19 Rochester, Minnesota

20

21

22

1 **Richard G. Gorlick, MD**

2 Division Head and Chair, Pediatrics

3 Robert A. Mosbacher Chair of Pediatrics

4 The University of Texas MD Anderson

5 Children's Cancer Hospital

6 Houston, Texas

7

8 **Leo Mascarenhas, MD, MS**

9 Deputy Director

10 Children's Center for Cancer and Blood Diseases

11 Section Head - Oncology, Associate Professor of

12 Pediatrics

13 Division of Hematology, Oncology, and Blood and

14 Marrow Transplantation

15 Children's Hospital Los Angeles

16 Keck School of Medicine, University of Southern

17 California

18 Los Angeles, California

19

20

21

22

1 **Elizabeth A. Raetz, MD**

2 Professor of Pediatrics

3 Medical Director, Leukemia and Lymphoma

4 Program

5 Pediatric Hematology/Oncology

6 University of Utah

7 Huntsman Cancer Institute

8 Primary Children's Hospital

9 Salt Lake City, Utah

10

11 **Brenda J. Weigel, MD, MSc**

12 Professor

13 Division Director, Pediatric Hematology/Oncology

14 University of Minnesota

15 Developmental Therapeutics Chair

16 Children's Oncology Group

17 Minneapolis, Minnesota

18

19

20

21

22

1 **FDA PARTICIPANTS (Non-Voting)**

2 **Gregory Reaman, MD**

3 Associate Office Director

4 Associate Director for Pediatric Oncology

5 Oncology Center of Excellence

6 Office of Hematology and Oncology

7 Products (OHOP)

8 Office of New Drugs (OND), CDER, FDA

9

10 **Christy Osgood, MD**

11 *(Participation in Day 2, Topic 2)*

12 Medical Officer

13 DOP2, OHOP, OND, CDER, FDA

14

15

16

17

18

19

20

21

22

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Topic 2: Prexasertib	
4	Conflict of Interest Statement	
5	Lauren Tesh, PharmD, BCPS	9
6	Industry Presentations - Eli Lilly	
7	Prexasertib (LY2606368), A CHK1	
8	Inhibitor	
9	Allen Melemed, MD	15
10	Aimee Bence Lin, PhD	16
11	Clarifying Questions from Subcommittee	36
12	Questions to the Subcommittee and Discussion	57
13	Adjournment	83
14		
15		
16		
17		
18		
19		
20		
21		
22		

P R O C E E D I N G S

(10:26 a.m.)

DR. PAPP0: Good morning. We will now proceed with topic number 2, prexasertib from Eli Lilly and Company. Dr. Lauren Tesh will read the conflict of interest statement for this session.

Conflict of Interest Statement

DR. TESH: The Food and Drug Administration is convening today's meeting of the pediatric subcommittee of the Oncologic Drugs Advisory Committee under the authority of the Federal Advisory Committee Act of 1972.

With the exception of the industry representative, all members and temporary voting members of the committee are special government employees or regular federal employees from other agencies and are subject to federal conflict of interest laws and regulations.

The following information on the status of this committee's compliance with the federal ethics and conflict of interest laws, covered by but not limited to those found at 18 U.S.C. Section 208, is

1 being provided to participants in today's meeting
2 and to the public.

3 FDA has determined that members and
4 temporary voting members of this committee are in
5 compliance with federal ethics and conflict of
6 interest laws. Under 18 U.S.C. Section 208,
7 Congress has authorized FDA to grant waivers to
8 special government employees and regular federal
9 employees who have potential financial conflicts,
10 when it is determined that the agency's need for a
11 special government employee's services outweighs
12 his or her potential financial conflict of
13 interest, or when the interest of a regular federal
14 employee is not so substantial as to be deemed
15 likely to affect the integrity of the services
16 which the government may expect from the employee.

17 Related to the discussion of today's
18 meeting, members and temporary voting members of
19 this committee have been screened for potential
20 financial conflicts of interest of their own, as
21 well as those imputed to them, including those of
22 their spouses or minor children, and for purposes

1 of 18 U.S.C. Section 208, their employers.

2 These interests may include investments,
3 consulting, expert witness testimony, contracts,
4 grants, CRADAs, teaching, speaking, writing,
5 patents and royalties, and primary employment.

6 This session's agenda involves information
7 to gauge investigator interest in exploring
8 potential pediatric development plans for two
9 products in various stages of development for adult
10 cancer indications. The subcommittee will consider
11 and discuss issues concerning diseases to be
12 studied, patient populations to be included, and
13 possible study designs in the development of these
14 products for pediatric use.

15 The discussion will also provide information
16 to the agency pertinent to the formulation of
17 written requests for pediatric studies if
18 appropriate.

19 The product under consideration for this
20 session is prexasertib, presentation by Eli Lilly
21 and Company. This is a particular matters meeting,
22 during which specific matters related to Eli Lilly

1 and Company's product will be discussed. Based on
2 the agenda for today's meeting and all financial
3 conflicts of interest reported by the committee
4 members and temporary voting members, conflict of
5 interest waivers have been issued in accordance
6 with 18 U.S.C. Section 208(b)(3) to Drs. Leo
7 Mascarenhas and Brenda Weigel.

8 Dr. Mascarenhas's waiver involves his
9 employer's current study of prexasertib, funded by
10 Eli Lilly, which is anticipated to be between \$0
11 and \$50,000 in total funding. Dr. Weigel's waiver
12 involves her employer's two studies of prexasertib,
13 funded by Eli Lilly, which are anticipated to be
14 between \$0 and \$50,000 in total funding per study.

15 These waivers allow these individuals to
16 participate fully in today's deliberations. FDA's
17 reasons for issuing these waivers are described in
18 the waiver documents, which are posted on the FDA's
19 website. Copies of the waivers may also be
20 obtained by submitting written requests to the
21 agency's Freedom of Information Division at
22 5630 Fishers Lane, Room 1035, Rockville, Maryland

1 20857, or requests may be sent via fax to
2 301-827-9267.

3 To ensure transparency, we encourage all
4 standing committee members and temporary voting
5 members to disclose any public statements that they
6 have made concerning the product at issue.

7 With respect to FDA's industry
8 representative, we would like to disclose that
9 Dr. P.K. Morrow is participating in this meeting as
10 a non-voting industry representative, acting on
11 behalf of regulated industry. Dr. Morrow's role at
12 this meeting is to represent industry in general
13 and not any particular company. Dr. Morrow is
14 employed by Amgen.

15 We would like to remind members and
16 temporary voting members that if the discussions
17 involve any other products or firms not already on
18 the agenda for which an FDA participant has a
19 personal or imputed financial interest, the
20 participants need to exclude themselves from such
21 involvement, and their exclusion will be noted for
22 the record.

1 FDA encourages all other participants to
2 advise the committee of any financial relationships
3 that they may have with the firm at issue. Thank
4 you.

5 DR. PAPP0: Thank you, Dr. Tesh.

6 Both the Food and Drug Administration and
7 the public believe in a transparent process for
8 information-gathering and decision-making. To
9 ensure such transparency at the advisory committee
10 meeting, the FDA believes it is important to
11 understand the context of an individual's
12 presentation.

13 For this reason, the FDA encourages all
14 individuals and participants, including the
15 applicant's non-employee presenters, to advise the
16 committee of any financial relationships that they
17 may have with the firm at issue such as consulting
18 fees, travel expenses, honoraria, and interest in
19 the applicant, including equity interests and those
20 based upon the outcome of the meeting. Likewise,
21 the FDA encourages you, at the beginning of your
22 statement, to advise the committee if you do not

1 have any such financial relationships.

2 If you choose not to address this issue of
3 financial relationships at the beginning of your
4 presentation, it will not preclude you from
5 speaking. We will now proceed with Eli Lilly's
6 presentation.

7 **Industry Presentation - Allen Melemed**

8 DR. MELEMED: I would first like to thank
9 FDA again for the opportunity to present our
10 molecule to the pediatric advisory committee.
11 Hello again. My name is Allen Melemed. I'm a
12 pediatric oncologist, and I currently am
13 responsible for the U.S. regulatory group for Eli
14 Lilly and Company.

15 It is a pleasure to be here today to discuss
16 prexasertib and get feedback from the committee on
17 our development plan. Following me will be Dr.
18 Lin, and she'll present the development of
19 prexasertib for both adults and pediatric cancers.

20 I'm going to use this slide that you've seen
21 before a slightly different way for prexasertib.
22 With prexasertib, we are able to look at this drug

1 sooner because we already had started this
2 collaboration internally to have these non-clinical
3 models to study.

4 We studied prexasertib early when it was in
5 its initial phase 1 and 2 trials. I still remember
6 the hope and excitement when Lou Stancato shared
7 with me the data from LY2880070, which is the data
8 that Dr. Lin will share later today.

9 All of this is with the hope here that we
10 can find new drugs that can help children with
11 cancer, and we're now going to go on to the
12 presentation for Dr. Lin to present prexasertib.

13 **Industry Presentation - Aimee Lin**

14 DR. LIN: Thank you, Dr. Melemed.

15 My name is Amy Lin, and I'm a research
16 advisor at Eli Lilly and Company. And it is my
17 privilege to be here today on behalf of the
18 prexasertib team to present and want to thank you
19 on behalf of the team, the committee, and the FDA
20 for the opportunity to gain feedback on our
21 pediatric development plan.

22 So prexasertib is a small molecule inhibitor

1 of checkpoint kinase 1. In 2010, its IND was
2 opened, and in 2015, it was granted orphan drug
3 designation for the treatment of anal cancer.

4 Currently, there are 12 ongoing or completed
5 phase 1 or 2 trials, and since we're still
6 relatively early in development, a PIP or PSP have
7 not yet been submitted. Additionally, there are
8 currently no CHK1-targeted agents that are
9 approved, so the team is optimistic and excited
10 about the opportunity for this molecule to have a
11 novel way to potentially improve clinical outcomes
12 for both adults as well as pediatric patients.

13 As Dr. Melemed alluded to, our interest in
14 prexasertib is a therapy for pediatrics. It was
15 sparked several years ago when across a panel of
16 in vitro cell lines, shown here, the EC50 value,
17 plotted here on a log scale, was markedly better
18 than what was observed for standard of care agents.

19 We know that it's a long ways to go from a
20 simple in vitro screen to an effective therapy for
21 pediatric patients. But nevertheless, very early
22 in development, this was the point that we started

1 to think about how should we approach pediatric
2 development for this agent.

3 That plan then really forms the outline and
4 the framework for my comments today. First, I'll
5 review briefly the target and the molecule; then go
6 through our adult clinical data, both monotherapy
7 and what's ongoing in combination; talk about how
8 we further extended our non-clinical data into more
9 sophisticated models; review the study design of
10 the phase 1 that's ongoing sponsored by the
11 Children's Oncology Group, and how those data will
12 then lead into our proposed study in
13 relapse/refractory rhabdomyosarcoma or
14 neuroblastoma.

15 We then look forward to getting your input,
16 both on that proposed design as well as future
17 places that we should start to think about either
18 in monotherapy or in combination therapies.

19 So briefly, a word about the target.
20 Checkpoint kinase 1 is a multifunctional cell cycle
21 target. It plays a critical role in response to
22 DNA damage at the intra-S or G2/M checkpoint. It's

1 integrally involved in homologous re-combination
2 repair and is a negative regulator of DNA
3 replication origin firing, and as such plays an
4 important role in resolving replication stress.

5 As a result, it's been hypothesized by
6 ourselves and by others that tumors that either
7 have increased levels of replication stress or
8 defects in DNA damage repair may be more sensitive
9 to the effects of a CHK inhibitor such as
10 prexasertib.

11 Prexasertib itself is a an ATP competitive
12 inhibitor. In biochemical assays, it's very potent
13 with a sub-nanomolar IC50 value. It's relatively
14 selective, and when we look in non-clinical
15 models, the phenotype that we observe phenocopies
16 what is observed with siRNA knockdown of CHK1 and
17 includes the disruption of the repair of DNA damage
18 and replication and mitotic and replication
19 catastrophe.

20 Data is currently available from two of our
21 ongoing or completed studies. The first was the
22 phase 1, the initial phase 1, where we treated

1 146 patients across dose-escalation and
2 dose-expansion cohorts.

3 Although the primary objective of this study
4 was to identify the recommended phase 2 dose, one
5 of the striking findings was that durable objective
6 responses were observed as a monotherapy in
7 patients with either head and neck or anal cancer.

8 The reason that this was striking was,
9 prexasertib was the first CHK inhibitor to
10 demonstrate monotherapy activity. A paradigm in
11 the field up until this point was that CHK
12 inhibitors would have their greatest utility in
13 combination with DNA damaging agents, and therefore
14 would be predominantly chemopotentiators.

15 But we were able to demonstrate that
16 sustained and potent inhibition of CHK can result
17 in monotherapy results, and this has led now to a
18 new generation of CHK inhibitors that are being
19 evaluated both in monotherapy as well as
20 combination.

21 In this trial, we also characterized PK, and
22 perhaps looking ahead to some of the non-clinical

1 data we'll share, I want to emphasize that the
2 clinical exposures that were achieved in this study
3 are consistent with those that we observe in non-
4 clinical models where efficacy is observed.

5 Finally, the recommended phase 2 dose was
6 identified as 105 milligrams per meter squared when
7 prexasertib is administered as a 1-hour IV infusion
8 once every 14 days. The primary dose-limiting
9 toxicities were all hematologic in nature, and
10 indeed hematologic toxicity is the hallmark
11 toxicity of this molecule.

12 Over 70 percent of patients will experience
13 grade 4 neutropenia and to a lesser extent
14 thrombocytopenia or anemia. But perhaps just as
15 interestingly, the non-hematologic toxicities
16 occurred in much lower incidence, with only
17 fatigue, nausea, and headache occurring in an
18 incidence of greater than 10 percent. And
19 regardless of instance, the majority of non-
20 hematologic toxicity is grade 1 or 2 in severity.

21 The data you're seeing here are from
22 patients treated at 105 milligrams per meters

1 squared, the recommended phase 2 dose.
2 Approximately 100 patients are shown, and these
3 represent toxicities that were observed at any
4 point during their therapy.

5 Perhaps the neutropenia bears just a bit of
6 an additional word in that this is transient
7 neutropenia. So the grade 4 neutropenia typically
8 resolves within 5 days, and even though we have a
9 relatively short duration cycle of 14 days, the
10 vast majority of patients do not require dose
11 reductions or dose delays.

12 In addition, G-CSF use may reduce both the
13 extent and the duration of the neutropenia, at
14 least in a subset of patients. And perhaps the
15 combination of the combined use of G-CSF and the
16 transient late nature of the neutropenia has led to
17 acceptable febrile neutropenia rates of
18 approximately 10 percent.

19 Data is also available from an ongoing
20 phase 2 study that's sponsored by the NCI, looking
21 at multiple cohorts of patients. Data from two of
22 the cohorts, which enrolled high-grade serious

1 ovarian cancer patients, either BRCA mutant or BRCA
2 wild type, were presented by the lead investigator,
3 Dr. Jung-min Lee at ESMO last year.

4 In this study, when the aggregates of those
5 two cohorts were combined from a safety
6 perspective, you can see the safety toxicity
7 profile mirrors that what we've observed in the
8 phase 1 with hematologic toxicity predominating.
9 It may be notable in this more homogeneous
10 population that the febrile neutropenia rate was
11 just 6 percent.

12 But what also caught our interest was the
13 efficacy data. At the current time, data is
14 limited from the BRCA mutant patient population, so
15 it's difficult to draw conclusions about that
16 cohort. But from patients enrolled in the BRCA
17 wild-type cohorts, there were objective responses
18 observed in 35 percent of the patients across both
19 platinum-sensitive and platinum-resistant disease.

20 When we compare this to historical controls,
21 this is more than twofold higher than what we would
22 expect for this population and has generated some

1 interest and enthusiasm about how we may pursue
2 this agent in patients with ovarian cancer.

3 Here then is a summary of the ongoing or
4 completed clinical trials with prexasertib, all of
5 which are phase 1 or phase 2. And while the focus
6 of today's talk focuses on solid tumors and the
7 majority of our program does, I'd be remiss if I
8 didn't highlight that there is an ongoing study in
9 patients with relapse refractory AML. And I think
10 we're keenly interested in hematologic
11 malignancies, both given the safety profile as well
12 as some of the mechanistic underpinnings of
13 prexasertib.

14 You'll also notice that a large portion of
15 our clinical efforts right now are focused on
16 combination therapy. And I think this represents
17 one of the challenges for prexasertib development
18 and that the agents, that from a mechanistic
19 perspective are most attractive to combine with
20 prexasertib, may also have overlapping hematologic
21 toxicity.

22 So we're exploring cytotoxic chemotherapies

1 in our adult phase 1 program, but also looking at
2 targeted therapies and radiations, as those may
3 also be attractive combination partners that will
4 have less overlapping toxicity.

5 But I think a particular challenge perhaps
6 for pediatric development is that many of the
7 agents that we're looking at in our phase 1 program
8 in adults are not commonly used in the pediatric
9 setting, so we recognize that we need to generate
10 additional data there to understand both the
11 optimal combination partners from a safety as well
12 as efficacy perspective and are using non-clinical
13 models to look at agents such as cyclophosphamide,
14 doxorubicin, and irinotecan to try to inform what
15 would be optimal combinations potentially in a
16 pediatric setting.

17 But overall, with our data in the clinic
18 right now, there's nothing that precludes us from
19 moving forward with development in either a
20 monotherapy or combination setting.

21 So I want to turn our attention now to some
22 of the additional data that we've generated in non-

1 clinical pediatric models. In addition to the
2 initial in vitro screening program, we've worked
3 hard to expand our in vivo models that we have
4 access to within Lilly.

5 Here's a subset of what we've looked at, and
6 these demonstrate that prexasertib has the ability
7 to induce regressions, either complete responses,
8 denoted by CR, partial responses, denoted by PR, or
9 stable disease, denoted by SD across a variety of
10 neuroblastoma or pediatric sarcoma models.

11 We've also been working with external
12 collaborators in both the academic setting as with
13 the NCI to expand our experience. And in
14 particular, our collaboration with the PPTC has
15 been very productive, and we're grateful to them
16 today for allowing us to share some of their
17 unpublished data, looking at prexasertib as a
18 monotherapy again in models of neuroblastoma or
19 sarcoma.

20 When we combine these data, we observe that
21 9 out of 9 of the neuroblastoma models and 7 out of
22 10 of the rhabdomyosarcoma models have activity

1 following treatment with prexasertib. These data
2 all represent monotherapy data, and we're
3 continuing to extend and augment this with
4 combinations with both cytotoxic as well as
5 targeted agents.

6 Now, the tabular summary is nice because it
7 shows an overview of what we've generated. But I
8 think part of what's generated the excitement for
9 us both internally as well as externally as we've
10 talked to thought leaders in the field is the
11 extent and duration of the responses that we see.
12 So we wanted to show you a few representative
13 examples of these xenografts.

14 Here, we plot days versus tumor volume, and
15 they're plotted versus a vehicle control as well as
16 a standard of care control. Treatment is initiated
17 with the green arrows and stopped with the red
18 arrows. And you can see that following the
19 initiation of prexasertib treatment, there is
20 significant regression in these models. These
21 models, perhaps noteworthy, are both MYC and
22 amplified models, which are obviously an important

1 prognostic factor within neuroblastoma.

2 MYCN has long been linked in the literature
3 to a potential increased sensitivity to CHK
4 inhibition. MYCN amplified models will have an
5 inappropriate licensing of the replication forks.
6 This leads to a depletion of nucleotide pools
7 needed for DNA synthesis.

8 The ATR CHK pathway is one of the pathways
9 used to compensate for that replication stress. So
10 then when we add prexasertib, we inhibit CHK1, its
11 response to replication stress, and potentially
12 push the cells toward replication catastrophe.

13 But I also think these data may highlight
14 another challenge for prexasertib as we are
15 developing the molecule in that while each of these
16 models are MYCN amplified, some of the other models
17 where we did see pronounced responses were not MYCN
18 amplified. Indeed, when we look in other settings
19 and other histologies, MYCN amplifications do not
20 necessarily correlate with this level of response.

21 So I think that this suggests that the
22 tailoring and biomarkers used to predict

1 sensitivity to prexasertib are complex, and likely
2 not a single marker will predict either resistance
3 or sensitivity.

4 An ongoing area of focus for us is to try to
5 identify what are those cadre of markers that will
6 have that predictive power, but at the current
7 time, we do not yet have the ability to
8 prospectively predict which patients in the clinic
9 will respond or be resistant to prexasertib.

10 In addition, we wanted to show you also
11 representative models of both aRMS and eRMS where,
12 again, the data is plotted in this same fashion.
13 And you can see that following the initiation of
14 treatments, these models also show regressions to
15 prexasertib.

16 So these data, both the clinical data as
17 well as the non-clinical data, then helped inform
18 the Children's Oncology Group, which is sponsoring
19 a phase 1 study. This again has been a very
20 productive and fruitful collaboration, as they have
21 recently initiated the trial just in March of this
22 year.

1 Their study enrolls patients with either
2 current or refractory solid tumors, including CNS
3 tumors, and hopes to establish the maximum
4 tolerated dose or recommended phase 2 dose of
5 prexasertib as a monotherapy. In addition, they
6 will characterize the toxicities, pharmacokinetics,
7 anti-tumor activities, and biomarkers.

8 The starting dose for this study is
9 80 milligrams per meter squared, but data is not
10 yet available from this study. However, as we look
11 forward, I think that this study is important to us
12 because it will help discharge another key
13 uncertainty for the molecule, and that's whether
14 the acute toxicity profile that we've seen in
15 adults will be similar to what we observed in a
16 pediatric population.

17 As I mentioned, all of our dose-limiting
18 toxicities were hematologic in nature, and we know
19 that, in other settings, children may have a more
20 resilient hematopoietic system for hematologic
21 toxicity. So based on the mechanism of this
22 hematologic toxicity, it's possible that the

1 recommended phase 2 dose in a pediatric setting may
2 be different than what we've observed in a
3 monotherapy setting and may potentially even be
4 higher.

5 In addition, while the PK data and the
6 efficacy data that will be derived from the ongoing
7 COG study are really the key inputs into our dose
8 selection strategy, there are several parallel
9 efforts that we're having to help further inform
10 this strategy, the first of which is an ongoing C14
11 study in adult patients, where radio-labeled
12 prexasertib is administered to help us characterize
13 in the adult setting the clearance pathways.

14 At the current time, we do not have any
15 drug-drug interaction limitations on concomitant
16 medications in our ongoing adult studies. However,
17 these data will help inform what we should be
18 thinking about in the future and in particular as
19 it relates to or lower age limit.

20 We know that CYP maturation is not complete
21 in the youngest of patients, so in both the COG
22 study as well as our proposed study, we proposed

1 excluding patients less than 1 year of age until
2 data from this study are available to help us
3 inform.

4 In addition, the C14 data will be important
5 as we build a physiologic-based PK model to
6 understand if there are other variables or factors
7 that should be taken into account in our
8 dose-selection strategy.

9 Another parallel effort that my colleagues
10 in our PK and PD group have been working on is
11 using our adult population-based PK model to scale
12 and to extrapolate what the equivalent systemic
13 exposure to the adult-recommended phase 2 dose
14 would be in patients that have lower BSAs than what
15 we've treated in our adult studies.

16 You can see the results here, and I think
17 these results help confirm the appropriateness of
18 the starting dose of 80 milligrams per meter
19 squared in the Children's Oncology Group study. So
20 all of these factors will come together to help
21 inform our dosing strategy, and in particular, the
22 pediatric recommended phase 2 dose.

1 So that's a summary of our ongoing and our
2 completed work. But as we look forward, I think
3 there's one final challenge that perhaps
4 prexasertib has as we look to pediatric
5 development. And that's whether the non-clinical
6 data that we have, which we find compelling and
7 exciting, will actually translate to the clinic.

8 We know from a historical perspective that
9 not all data translates, and we also know that
10 monotherapy data, in particular in a relapsed
11 refractory setting, has not often been optimal.

12 So we want to minimize the number of
13 patients that may be exposed to the agent if the
14 data do not translate. But on the other hand,
15 perhaps we have some cautious optimism that this
16 agent may actually help translate, and then we may
17 be able to have a practice-changing agent.

18 If that's the case, then we want to treat a
19 sufficient number of patients to be able to
20 characterize those effects and potentially be able
21 to understand what signals of monotherapy we may
22 have to both inform our monotherapy as well as

1 combination plans.

2 So we've tried to balance those two
3 considerations as we've proposed our next study.
4 This study would be a parallel cohort, independent
5 cohorts of patients with relapsed refractory
6 neuroblastoma or relapsed refractory
7 rhabdomyosarcoma.

8 The age criteria would mirror that of the
9 COG, and patients that are candidates for
10 conventional therapy would be excluded. No more
11 than 2 prior therapies would be allowed for the
12 relapsed refractory setting, and the primary
13 objective would be response rate.

14 Response rate would be used in an interim
15 analysis that would occur after 20 patients to
16 establish whether we have an initial signal. If
17 more than 2 responses are observed, the study would
18 be extended, or that particular arm would be
19 extended to 55 patients. And this sample size
20 would then allow the lower bound of the 95 percent
21 confidence interval to exclude 15 percent, which we
22 propose would suggest a clinically meaningful

1 improvement over standard-of-care options.

2 But we recognize that response rate in and
3 of itself is probably not a sufficient measure of
4 clinical benefit. Duration of response, event-free
5 survival, and overall survival are all key
6 secondary objectives to measure the duration and
7 the extent of the response. In addition, we
8 propose to include patient-focused outcomes,
9 pharmacokinetics, and biomarkers as additional
10 secondary endpoints.

11 With our understanding of the rarity of
12 these patients, we recognize that a study of this
13 size may need to be a global study that will
14 leverage cooperative group involvement, and we
15 appreciate the comments in the last session to
16 provide some guidance on how we could approach
17 that.

18 So in summary, for our prexasertib pediatric
19 development plan, our adult data would suggest that
20 the primary toxicity of this molecule is
21 hematologic, reversible, transient, hematologic
22 toxicity, and is suitable, the profile, for

1 evaluation in pediatric patients. We've extended
2 our non-clinical data, where we've observed the
3 strongest signal in neuroblastoma and
4 rhabdomyosarcoma, but have observed signals of
5 efficacy in other models of pediatric sarcoma.

6 Data from the ongoing phase 1 study will be
7 critical to characterize the monotherapy toxicity
8 in the recommended phase 2 dose that will inform a
9 future study and our proposed study in relapsed
10 refractory neuroblastoma or rhabdomyosarcoma.

11 We look forward to getting the committee's
12 comments both on this design as well as other
13 places we should consider giving the safety and
14 efficacy profile of this drug for both a
15 monotherapy as well as combination.

16 I thank you for your attention today, and we
17 look forward to addressing any clarifying questions
18 you may have.

19 **Clarifying Questions from Subcommittee**

20 DR. PAPPON: Thank you very much. We will
21 now take clarifying questions for Eli Lilly.
22 Please remember to state your name for the record

1 before you speak. If you can, please direct
2 questions to a specific presenter.

3 Elizabeth?

4 DR. RAETZ: Elizabeth Raetz, University of
5 Utah. Thank you very much for the clear
6 presentation. I might have missed it, but do you
7 have particular assays that you use to look at the
8 biological activity of the drug?

9 DR. LIN: If you could clarify in what
10 nature of the biological activity are you most
11 interested in?

12 DR. RAETZ: Just to see if you're
13 effectively getting checkpoint inhibition, do you
14 have any readouts that you would routinely look at?

15 DR. LIN: Yes, certainly. I think I'll ask
16 my colleague, Dr. McNeely, from our oncology
17 patient tailoring group, to talk about some of our
18 non-clinical assessments that will address that
19 question.

20 DR. MCNEELY: Sam McNeely, oncology patient
21 tailoring. So in our pre-clinical models, most of
22 the markers that we would use to assess whether or

1 not we're hitting the target would be phospho
2 proteins that are reflective and have an activated
3 DNA damage response, that being phosphor RPA,
4 phospho CHK1, gamma-H2AX, which is a marker for DNA
5 damage.

6 Clinically, we did assess some of those
7 markers in our phase 1 study JTJA. We looked in
8 circulating tumor cells as well as hair follicles
9 for induction of gamma-H2AX. Unfortunately, we
10 didn't see any statistically significant
11 differences.

12 DR. RAETZ: Thank you. I have another
13 question just as it pertains to the leukemia trial.
14 So it sounds like there's an ongoing trial in
15 adults with AML, with a combination of the agent
16 with fludarabine and AraC. And I just wondered if
17 there were any issues that you've seen to date with
18 hematologic toxicity in that particular population?

19 DR. LIN: That study is an investigator-
20 sponsored study that's being run by colleagues at
21 MD Anderson. The study is ongoing, and data is not
22 available. But at the current time, we haven't

1 seen anything that would continue to have us be
2 interested in hematologic malignancies as a
3 potential place setting for prexasertib.

4 DR. MASCARENHAS: Leo Mascarenhas,
5 Children's Hospital, Los Angeles. Thank you for
6 your clear presentation. I had some questions
7 regarding your pre-clinical model. The dosing of
8 prexasertib is very different from what is proposed
9 in the clinical trial. How do you think that
10 affects interpretation of the results, which you
11 might have, and what bearing might it have?

12 I have several more, but that's the first
13 question.

14 DR. LIN: Thank you. So yes. I think the
15 schedule that we're using pre-clinically is a daily
16 times 3 that's administered BID. And part of that
17 is due to --

18 DR. MASCARENHAS: By which route? I'm
19 sorry.

20 DR. LIN: I'm sorry. It's by subcutaneous,
21 yes, subcutaneous route. And the pharmacokinetic
22 and pharmacodynamic data that we've derived from

1 that model would suggest that differences to
2 clearances in the rodent species, that that model
3 is approximating the same exposure levels that
4 we're achieving in the clinic.

5 Now, it may be a slightly different profile
6 because of the daily times 3 dosing versus a single
7 dose administered once every 2 weeks, but the
8 exposures are comparable between the two schedules.

9 DR. MASCARENHAS: My second question is with
10 regards to the neutropenia, which you've said is
11 transient. But it looked like, at least in my
12 interpretation of the graph, about 90 percent of
13 patients experienced neutropenia, and in 70 percent
14 of them, it was grade 4, which is severe.

15 The potential of combining that with
16 cytotoxic chemotherapy, can you expand further on
17 that?

18 DR. LIN: I think that that was one of the
19 challenges that we faced, is how we do combine
20 this. And so the agents in our adult setting that
21 we're combining with are -- cisplatin is where we
22 started, as well as with pemetrexed, as well as

1 5FU. And those agents, and particularly cisplatin,
2 are not necessarily associated with the same level
3 of neutropenia as some of the other agents. And we
4 did that intentionally to cautiously understand
5 what our ability to combine was.

6 At the current time, we are generating data.
7 It seems as though there are differences between
8 the cytotoxic agents, so cisplatin may be an agent
9 that we can combine with. I'm not sure all
10 cytotoxic agents we'll be able to combine with
11 given the overlapping hematologic toxicity.

12 DR. MASCARENHAS: So that might have some
13 relevance for the pediatric cancers, which you are
14 proposing to develop this agent. And further,
15 cisplatin is not generally used in the treatment of
16 rhabdomyosarcoma and has limited utility in the
17 treatment of neuroblastoma, though it's one of the
18 drugs which is still used.

19 So really moving to your pediatric
20 development plan, I have a clarification, and that
21 is in the initial phase 1 of the phase 2 plan, you
22 hope to enroll 20 patients on each cohort, and if

1 you have more than 2 responses, expand it to a
2 further 35 patients, for a total of 55? Did I
3 interpret that correctly?

4 DR. LIN: Correct, but each cohort would be
5 independent; so yes.

6 DR. MASCARENHAS: Yes, so 55 in each and 20
7 in each.

8 DR. LIN: Correct.

9 DR. MASCARENHAS: So that's what I was
10 clarifying. So that's a large number of patients
11 and an expensive use of patients. I mean, these
12 patients are rare. It's limited. And while you
13 may get a stronger signal and increase the power
14 and precision, the ultimate translation of the
15 drug, that many patients may not be necessary, and
16 a more accelerated definitive potential study might
17 be desirable. A simple Simon 2-stage, 20 or
18 24 patients totally, might be able -- and if you
19 see a good signal, could potentially allow you to
20 advance this agent to a group of patients with a
21 poor prognosis, either in the relapse setting or in
22 the upfront metastatic setting, provided we have

1 data of combination together with cytotoxic
2 therapy.

3 DR. LIN: Thank you for the comment, and I
4 think that's part of what we were eager to hear
5 from the committee, recommendations on that
6 proposed design, so thank you.

7 DR. ARNDT: Carola Arndt, Mayo Clinic.
8 Thank you also for a good presentation. Can you
9 expand a little bit on why you're choosing in your
10 proposed pediatric studies to limit to the rhabdo
11 and neuroblastoma? One of your very early slides
12 showed activity given in vitro in most of the other
13 typical pediatric sarcomas.

14 DR. LIN: So our strongest signal was
15 observed in neuroblastoma and rhabdomyosarcoma, so
16 that was the area of focus until we could see that
17 signal. But I think you've rightly outlined that
18 we have seen activity in other tumor settings.

19 Perhaps I'll ask Dr. Stancato from our
20 oncology patient tailoring group to come and expand
21 a little bit on those data because I think we're
22 excited about those and interested to see whether

1 we should consider those either in a separate study
2 or in this study.

3 DR. STANCATO: Lou Stancato, oncology
4 translational research. So we have seen activity
5 in desmoplastic Moran cell tumor model, a patient-
6 derived xenograft model. And in that model, the
7 data were actually particularly striking in that
8 once the tumor was essentially eliminated, we
9 observed a complete response. It never came back.

10 So I understand the numbers of patients with
11 DSRCT is very low, but it's a high unmet medical
12 need. So that's an area that we are starting to
13 expand additional pre-clinical evaluations. We're
14 trying to find other desmoplastic Moran cell
15 tumors. We're also expanding into NPNST to
16 understand the potential activity of our molecule
17 on the NPNST.

18 As far as the other sarcomas, I think we all
19 know there's a translational gap, I think one could
20 say, from cell-line work to in vivo work. With
21 this molecule, however, for the most part, we see a
22 pretty good translation from the cell line to the

1 in vivo when it comes to the soft tissue sarcomas.

2 When we start talking about the bony
3 sarcomas so to speak, the Ewing sarcoma and osteo,
4 there the translatability is not so high. But one
5 thing I can share -- and these are data that have
6 read out after the time that we submitted our
7 documents -- is that we're starting to see activity
8 say in osteosarcoma in combination with cisplatin,
9 so with chemotherapy.

10 So in those other histologies, where maybe
11 the translatability for a single-agent activity is
12 not there, perhaps in combination, that's where the
13 molecule will demonstrate its true capability.

14 DR. ARNDT: Also Ewing's or just osteo?

15 DR. STANCATO: Ewing's, thus far we have not
16 done much in the combination setting in Ewing's.
17 That is definitely a gap that we need to fill.

18 DR. ARNDT: So are there considerations for
19 future to look at combination treatment in some of
20 these tumor subtypes like osteosarcoma?

21 DR. STANCATO: Are we talking non-clinically
22 or clinically?

1 DR. ARNDT: Yes.

2 DR. STANCATO: Certainly non-clinically,
3 because I think it speaks to potentially two
4 different mechanisms of the molecule, the single-
5 agent activity and its ability to sense and respond
6 to DNA damage. So I think it's incumbent upon us
7 to really expand on that, those two different
8 mechanisms, and see just how far this molecule can
9 go, so to speak, across the pediatric landscape.

10 So those are ongoing. And I want to
11 emphasize that with this molecule, we are highly
12 engaged with the external community. We are
13 working with people across the country and really
14 in the E.U. to do the type of studies and
15 experiments that you indicated.

16 DR. ROTH: Just to expand on that a little
17 bit, my question was, I want to make sure I walk
18 away with the right sense. So on paper, it looks
19 like it's tabula rasa in terms of predictive
20 markers.

21 DR. LIN: I think that we can classify
22 markers of either increased replication stress or

1 DNA damage repair into broad buckets that may
2 sensitize. Actually, having specific markers that
3 we can do is still something we have to work on.

4 DR. ROTH: I meant more broadly. So
5 pertaining to your development in the adult
6 setting, you already have a basket trial in people
7 with DDR deficiency and have a phase 2 in
8 combination with a PARP. So that sends a strong
9 message.

10 My most enthusiasm about a first-in-class
11 molecule is the potential broadness of the effect,
12 so that's why I was trying to get a sense of kind
13 of at the next level, how you're going to cast the
14 net, wide or narrow.

15 DR. LIN: Yes. It's a good question. I
16 think those two studies in particular will help us
17 inform whether there is specific populations. I
18 will say pre-clinically, from what we've observed,
19 both in non-clinical models as well as adult
20 models, this molecule does have very broad
21 activity, so we certainly don't want to narrow too
22 soon and then miss out on some of those

1 opportunities.

2 So at the current point in time, apart from
3 the basket study that you referenced, we don't have
4 any inclusion criteria restrictions, and I think
5 the retrospective analysis will help us understand
6 if those are things we should put into place as we
7 move forward.

8 DR. MacDONALD: Tobey MacDonald, Emory. The
9 pediatric phase 1 includes CNS tumors. What pre-
10 clinical data exists to justify inclusion of that
11 group?

12 DR. LIN: So our ability to cross the
13 blood-brain barrier is something that we have
14 assessed in a non-clinical model with radiolabel.
15 And with the C14 radiolabel in non-clinical models,
16 we do not see strong blood-brain barrier penetrants
17 in an intact blood-brain barrier.

18 However, I do think that the study that the
19 COG has proposed will help provide some input as to
20 whether that translates to clinically based on what
21 they see with the patients that do have CNS
22 involvement, but our non-clinical data right now

1 would suggest we may not have strong blood-brain
2 barrier penetrants in an intact blood-brain
3 barrier.

4 DR. MacDONALD: Are there any adult brain
5 tumor ongoing studies that have shown any response?

6 DR. LIN: No. So we don't have any data or
7 any studies ongoing right now in adult brain
8 tumors.

9 DR. MacDONALD: Thank you.

10 DR. PAPPO: Alberto Pappos, St. Jude. I was
11 just curious, on your dose-expansion cohorts, why
12 did you pick these histologies that had carcinomas,
13 the anal? Were they identified as sensitive in the
14 phase 1, or did they have a unique characteristic,
15 a specific mutational defect, P53 or ATR, that
16 makes them particularly sensitive to this drug?

17 DR. LIN: So as you know, when you're in
18 phase 1 development with a new agent, you kind of
19 follow where your initial signals are. So in the
20 dose-escalation portion of our phase 1, we had two
21 partial responses. One was in a head and neck
22 patient and one was in an anal cancer patient.

1 So that drove the opening of those two
2 cohorts and some published literature at the time
3 that suggested that squamous non-small-cell lung
4 had a lot of genetic overlap with head and neck and
5 may be an intriguing target as well.

6 For your question as to whether there's
7 anything specific about those tumors that may drive
8 it, I'm sure it's not lost on you that each of
9 those are HPV tumors. And HPV itself, through E6
10 and E7 mechanisms, may deplete nucleotide pools
11 just like MYC can result in increased replication
12 stress.

13 So while that's an area of work that we
14 still have ongoing, that would probably be perhaps
15 one of the more strong tailoring hypotheses we'd
16 have around those tumors.

17 DR. WEIGEL: Brenda Weigel, University of
18 Minnesota. I'm wondering if you can expand a
19 little bit more, both on the pre-clinical as well
20 as the adult clinical trials in combination,
21 because in just thinking about the mechanism of
22 prexasertib, you're looking at combinations with

1 cytotoxics, some antibodies as well as small
2 molecules. And I think probably the potential
3 dosing sequencing mechanisms of action in those
4 different settings might be very different.

5 What steps are you taking to try to optimize
6 looking at maybe different classes of agents in
7 combination with prexasertib and how we might
8 appreciate the direction that that work is taking?

9 DR. LIN: Maybe I could speak first to the
10 clinical efforts that we're doing, and then we'll
11 turn to Dr. Stancato to talk about some of the
12 pre-clinical efforts.

13 So from a clinical perspective, I think you
14 rightly point out scheduling is a very important
15 consideration and one across the field of DNA
16 damage kinase that's being discussed.

17 In our ongoing phase 1 study with cisplatin,
18 we're looking at two different schedules, so we
19 administer both cisplatin and prexasertib on day 1
20 of each cycle, and then we also have another
21 schedule where we're looking at cisplatin with
22 prexasertib being administered 24 hours later to

1 try to potentiate some of that DNA damage.

2 Each of those schedules are tolerable, but
3 they do have differences in their safety profile.
4 And I think it's difficult to say in a phase 1 what
5 the differences in the efficacy will be. But I
6 think that you're exactly right, that scheduling is
7 not a trivial consideration as we move forward into
8 combination therapies.

9 To answer your question around kind of how
10 we're approaching which combination therapies, I
11 think some have been a bit of a practical element,
12 as I alluded to with not wanting to pick agents
13 that already themselves have very high levels of
14 hematologic toxicity. But we know that the anti-
15 metabolites from the literature as well as our own
16 work are a very attractive combination partner.

17 Cisplatin was chosen because of its DNA
18 cross-linking, a little different mechanism of
19 action. And then pemetrexed I think is intriguing
20 because, like some of the other anti-metabolites,
21 it also can potentially deplete nucleotide pools
22 and have a replication stress component to it. So

1 we have tried to think about how we can approach
2 some of those targeted or cytotoxic combinations.

3 Then I think one of the really interesting
4 few things over the field of CHK biology over the
5 last several years is the interplay that it has
6 with so many cell signaling pathways, whether it's
7 the PI3 pathway or the MAPKAP pathway.

8 So I think some of the agents that then
9 we've selected, in particular to look at, have
10 tried to leverage some of the emerging data that
11 those agents and those pathways may have both on
12 DNA damage repair and that CHK may have on those
13 pathways.

14 So as far as some of the specific
15 combinations that we're looking at in our
16 combination setting, I'll now have Dr. Stancato
17 address that.

18 DR. STANCATO: Dr. Weigel, do you want me to
19 explain some of the combination work that we've
20 done? Is that part of your question?

21 DR. MacDONALD: If possible, because I think
22 it gets back to a question Dr. Mascarenhas alluded

1 to. I think as we think about the pediatric tumors
2 we potentially want to ultimately end up in, what
3 data do we have from the adult sphere that will
4 inform some of that combination, what pre-clinical
5 data do we have? And how can we optimize that for
6 the tumors of interest that we may want to take
7 forward?

8 DR. STANCATO: Okay. So I'll tell you what
9 I do know. What we know is that we have a molecule
10 that has widespread single-agent activity, so we
11 spend a lot of time fleshing that out and
12 developing a robust data package as a single agent.

13 Now we're starting to look at tumors that
14 either don't respond or perhaps that do respond,
15 but then ultimately we see resistance arise. And
16 that's starting to drive some of our combination
17 studies.

18 So in particular, in rhabdomyosarcoma, we've
19 looked at some of the standard of cares that you
20 know so well, doxorubicin, irinotecan,
21 cyclophosphamide. They all combine very well with
22 the molecule. They all lead to a complete

1 regression of a couple of rhabdomyosarcoma models.

2 We're starting to look now at osteo, going
3 back to the question I answered earlier, where the
4 molecule is not quite as active as a single agent.
5 In fact, it's simply not as active, across a
6 limited subset, to be fair a limited subset, and
7 we're looking at combinations of cisplatin and
8 doxorubicin, and we're starting to see activity in
9 combination with cisplatin.

10 So those are the standard-of-care
11 combination studies that we've done. I had
12 mentioned earlier, we want to circle back into
13 Ewing's to do some more of that.

14 We've also done a limited evaluation of
15 prexasertib with other targeted agents in the Lilly
16 portfolio. These are data that are unpublished.
17 They're preliminary. But we're going after the
18 usual suspects that are involved with what's
19 typically thought of as acquired resistance. We're
20 seeing activation of map kinase pathway activation
21 of AKT, et cetera. So we're making the rational
22 combinations in that sense as well.

1 DR. MacDONALD: What about combination with
2 radiation, since that would avoid some of your
3 overlapping hematologic toxicity?

4 DR. LIN: Again, I think a combination with
5 radiation is a very attractive combination. We
6 have an ongoing phase 1 study in the adult setting
7 in patients with locally advanced head and neck
8 cancer, where we're combining with either cisplatin
9 radiation or with cetuximab radiation to understand
10 the tolerability of radiation.

11 I think, again, right now we haven't seen
12 anything that would discourage us from considering
13 to see how we could integrate radiation
14 combinations into our clinical plan, whether they
15 be both adult or pediatric.

16 DR. PAPPO: Any additional questions?

17 Thank you very much.

18 One more question, sorry.

19 DR. WEIGEL: With regards to the phase 2
20 plan, given limited numbers of patients on a phase
21 1, if there are potential responders on the phase 1
22 study, would that change some of your plan, or if

1 there were non-responders, significant non-
2 responders, would that change some of your thoughts
3 about next steps?

4 DR. LIN: Certainly one of the key secondary
5 objectives of that ongoing phase 1 is to look at
6 the efficacy, and we'd be remiss if we didn't take
7 that into account as we moved forward. And I think
8 you rightly say in both directions, if there's an
9 enrichment of patients with neuroblastoma and
10 rhabdomyosarcoma, and we don't see a translation at
11 doses where we would predict efficacy, we'd have to
12 reevaluate.

13 Conversely, if there were subtypes of
14 patients that maybe we don't have as robust
15 pre-clinical data for, we would certainly want to
16 see how we could consider this.

17 **Questions to the Committee and Discussion**

18 DR. PAPP0: Thank you.

19 There is no open public hearing session in
20 this portion of the meeting. We will now proceed
21 with questions to the committee and panel
22 discussions. I would like to remind public

1 observers that while this meeting is open for
2 public observation, public attendees may not
3 participate except at the specific request of the
4 panel. Let's start with question number 1.

5 DR. OSGOOD: Please consider the
6 pre-clinical data and rationale for the development
7 of prexasertib in neuroblastoma and
8 rhabdomyosarcoma. Additionally, please discuss
9 other tumor types that may benefit from the
10 development of prexasertib.

11 DR. PAPPO: If there are no questions or
12 comments concerning the wording of the question, we
13 will now open the question for discussion.

14 DR. MASCARENHAS: I think I addressed this
15 question with some of my clarifications earlier. I
16 think the mainstay of treatment of rhabdomyosarcoma
17 and neuroblastoma at this time is chemotherapy and
18 radiation. So getting combination data or
19 developing a plan with those drugs to incorporate
20 chemotherapy or radiation together with this agent
21 is desirable and should inform every potential
22 clinical trial design.

1 I do think some of the other data is
2 intriguing and needs to be explored, I think
3 particularly in desmoplastic small round-cell
4 tumor, which there is great need in that disease.
5 It's a rare disease. And further exploring
6 combinations in that, we do know that those
7 patients transiently respond to alkylator therapy
8 and camptothecins.

9 So combinations in that area, and further
10 exploring that model, and screening the other
11 available cell lines might be reasonable. And as
12 Dr. Arndt suggested, I think further expansion to
13 other sarcoma cohorts may be also reasonable.

14 Can I ask a panel member a question here to
15 clarify?

16 Richard, any interest, just given the data
17 of this agent together with pemetrexed, any of the
18 anti-folds [ph] in osteosarcoma with this drug
19 potentially? Can you comment on that?

20 DR. GORLICK: Diverging from the question
21 you're asking me, it sounds like the most solid
22 pre-clinical data is really in rhabdo and

1 neuroblastoma, and they are pursuing a path down
2 the path where they have the most data.

3 So although you can always say it's
4 interesting and you can combine the reality of it
5 as their best signal, it's probably their best shot
6 of activity. I think they're right in selecting
7 neuroblastoma and rhabdo as the area of focus based
8 on the data they have.

9 If you extrapolate to Ewing sarcoma, where
10 it's almost like a BRCA mutant, that would be the
11 reverse of where they're seeing activity. They're
12 sort of like the BRCA positive types. So you would
13 sort of move away from the areas where you're
14 interested in PARP inhibitors.

15 Osteo is this genomically complex disease
16 that, in terms of DNA damage repair, we don't
17 really have any idea of what's going on there. I
18 think it's nice that they're open to this down the
19 road and that they'll explore combinations
20 in vitro, which is the way to approach it. But I
21 think they're right in that direction.

22 DR. ARNDT: I think it would be interesting

1 to get some additional data about the combination
2 to see if the drug potentiates or acts
3 synergistically with standard chemotherapy agents
4 for osteosarcoma or Ewing's. So basically, I agree
5 with what Dr. Gorlick and Mascarenhas have said.

6 DR. RAETZ: I was interested in the briefing
7 document. It looks like there is some pre-clinical
8 data that suggest that, perhaps, prexasertib would
9 potentiate the activity of TKIs in pH-positive ALL.
10 So I thought that was an interesting observation,
11 and if that were pursued pre-clinically, certainly
12 there's been a lot of, in the leukemia world, talk
13 about Philadelphia-like chromosome ALL, too. So if
14 you look in aggregate, that's now about 30 percent
15 of the AYA population.

16 So pre-clinically, that might be a good
17 population to look at further. I think one of the
18 challenges would be the hematologic toxicity, so I
19 was curious to see how the adult trial, how well
20 tolerated the drug is with [indiscernible] being
21 AraC. But if it proves to be safe, that might be
22 an interesting population to pursue for the future.

1 DR. WEIGEL: I agree with what's been said.
2 I think leveraging the strength of the pre-clinical
3 data for neuroblastoma and rhabdomyosarcoma is the
4 first step, while other areas are developed as
5 spoken.

6 I was going to mention a similar thing to
7 Elizabeth, because I wouldn't forget about the
8 hematologic malignancies. I think there's a real
9 opportunity there as well. And that in my mind is
10 a separate development kind of strategy in a
11 separate set of studies because I think the
12 combinations and the populations are very
13 different, and the toxicity issues may be very
14 different. But I would certainly watch the adult
15 data carefully and think about pre-clinical
16 strategies there as well.

17 DR. PAPPO: I just wanted to add a small
18 comment. Perhaps another combination that should
19 be looked at and is active in actually both of
20 these tumors and may not have additive hematologic
21 toxicities increased in irinotecan -- and it sort
22 of makes sense. Right? If you give the protracted

1 dose of irinotecan, your main side effect is
2 diarrhea and not hematologic toxicity. Vincristine
3 doesn't cause hematologic toxicity. And you're
4 adding a trigger. Right?

5 Plug the spindle, get replication fork
6 stalling, and then you go to the G2/M phase and add
7 the CHK1 inhibitor is something to consider. And
8 that may allow you to give this drug with some of
9 the chemotherapies that are used in some of the
10 sarcomas.

11 Any other? Greg?

12 DR. REAMAN: I would just like to go back to
13 the hematologic development. I was impressed by
14 the pH positive ALL findings as well. And although
15 I clearly agree that they would be separate
16 development programs from the phase 1 and beyond,
17 I'm not sure that I would wait for adult data from
18 the AML experience.

19 I think there's such an unmet need in
20 pH-like ALL that this could be a real opportunity.
21 So I would strongly suggest a sort of simultaneous
22 development along those lines as well, should be

1 considered.

2 DR. PAPPO: Any additional questions or
3 comments?

4 (No response.)

5 DR. PAPPO: So if I can summarize the
6 comments from the panel for question number 1, we
7 believe that these two histologic diagnoses are
8 appropriate for further development of the drug
9 based on the pre-clinical data. However, you need
10 to pay significant attention to the combinations
11 that you're going to be using, especially with the
12 additive hematologic toxicity. Other subtypes that
13 potentially could be explored would be desmoplastic
14 Moran cell tumor and pH positive ALL.

15 We also strongly encourage you to strengthen
16 your pre-clinical data with this agent on multiple
17 other combinations. I mentioned vincristine and
18 irinotecan, but other chemotherapeutic
19 combinations.

20 I think that's it. Did I leave anything
21 out?

22 (No response.)

1 DR. PAPPO: We will now proceed to question
2 number 2.

3 DR. OSGOOD: Please consider the planned
4 pediatric study of prexasertib in neuroblastoma and
5 rhabdomyosarcoma and provide an opinion regarding
6 the overall study design, including the patient
7 population eligible for enrollment and the tumor
8 types that are planned to be evaluated.

9 DR. PAPPO: If there are no questions or
10 comments regarding the wording of the question, we
11 will now open the question for discussion.

12 DR. WEIGEL: Brenda Weigel, University of
13 Minnesota. So I do agree that these are the two
14 patient populations based on the pre-clinical data,
15 so certainly support that. I am concerned that for
16 a single-agent study, it's a significant number of
17 patients to commit.

18 I would encourage other study designs where
19 if there is a very strong signal agent signal, we
20 could do that with much fewer patients, and I
21 think, still get to the same answer.

22 So I strongly support the evaluation of

1 these two patient populations, however, I would
2 encourage a much smaller study.

3 DR. MELEMED: Can I get a clarification?
4 Allen Melemed. I'm trying to get a clarification
5 from FDA on what that would be because we would try
6 to get a sufficient number that would be sufficient
7 to show efficacy, and we thought 55 might be there.

8 What other kind of designs would you
9 consider for a single-agent activity to make it
10 smaller with the comments that Dr. Weigel had
11 stated?

12 DR. REAMAN: I guess it depends somewhat on
13 what you're going to do with the efficacy data that
14 you generate. So if you're looking for a strong
15 signal to continue development in that tumor, I
16 think we've used -- or not from an FDA regulatory
17 perspective, but from a general clinical trial and
18 drug development perspective -- 10 percent,
19 20 percent response rates.

20 So I think I would throw the question back
21 to you. What do you hope to see here? And
22 depending on what you see, what would be your

1 plans?

2 DR. MELEMED: I will clarify. If we have
3 response rates in the 15, 20 plus range, excluding
4 the levels, we think that would be sufficient or
5 potentially sufficient for activity or in efficacy
6 in a pediatric population and potentially labeling
7 for that.

8 DR. REAMAN: I think there are the kinds of
9 activity signals, results that we've seen in the
10 past that would at least suggest that there is
11 activity, and adding more patients, and then it
12 would also guide decisions about combination
13 studies to really look for efficacy. So yes, I
14 think 15, 20 percent would be very real.

15 Then I think, as has been pointed out, these
16 patients are a scarce and precious resource. And
17 although this is a very novel and exciting agent, I
18 think the more we can do with the smallest number
19 of patients, the better off we generally are.

20 DR. MELEMED: Thank you.

21 DR. MASCARENHAS: I just want to clarify
22 something in terms of efficacy. I mean efficacy,

1 in terms of drug development, I think that's
2 acceptable, but in rhabdomyosarcoma, we have no
3 data to suggest that response rate correlates with
4 outcomes.

5 So if you're looking at outcome as an
6 indication, I don't think the study will answer
7 that question with this number of patients for us
8 to automatically prescribe this drug for patients
9 with rhabdomyosarcoma or relapsed rhabdomyosarcoma.

10 DR. REAMAN: I don't think we're talking
11 about automatically prescribing and approving. And
12 that was my question back to Dr. Melemed.

13 DR. MELEMED: Let me just clarify. Drugs
14 have been approved in the adult population based on
15 response rate. And recently, in bladder cancer,
16 with response rates as low as 13 percent response
17 rates in hard-to-treat populations like bladder
18 cancer, that has been approvals, accelerated
19 approvals, for that population.

20 So we're trying to understand if you did see
21 activity at that level, what FDA's perspective
22 would be. It's not making people prescribe, but

1 having a drug that could be potentially available.

2 DR. REAMAN: Again, we could certainly put
3 information in the labeling based on response rate.
4 I think if we saw an incredible response rate in a
5 relapsed refractory set of patients with single-
6 agent therapy, that might be something to consider.
7 But I think barring that, my understanding was this
8 was really an attempt to evaluate and seek an
9 activity signal that would influence further
10 development.

11 DR. GORLICK: Richard Gorlick. In general,
12 pediatric tumors are chemosensitive diseases where
13 you see a response rate. The key issue is defining
14 whether the response rate is sufficient to move it
15 forward and do additional studies to clarify more
16 precisely the level of activity.

17 The reason you use larger numbers of
18 patients is to more precisely define a response
19 rate. The way we achieve making our trials smaller
20 is by looking for a greater effect size because
21 unless the effect is sufficient, that its
22 incorporation into an upfront therapy is likely to

1 change the outcome when combined with other agents
2 that are chemosensitive. We're less interested in
3 their further development.

4 So the key issue in defining sample size is
5 really the effect size you're looking for and what
6 that is relative to projected. If you look for
7 drugs that in these diseases can achieve a response
8 rate of 10 percent, there is actually cytotoxics,
9 irinotecan, et cetera, that can do that, and it
10 becomes uninteresting.

11 So we don't want to precisely define the
12 outcome around that boundary. What you want to do
13 is set your bar for clinical development at a level
14 that will be interesting for further pursuit, and
15 that's what defines your sample size.

16 DR. PAPP0: Any additional comments or
17 questions?

18 DR. OSGOOD: I just had one comment that
19 sort of goes away from sample size a little bit,
20 but another interesting thing that could be done
21 with this trial would be to add an additional
22 cohort of multiple histologies in order to further

1 look at some of these histologies that have been
2 discussed, the more rare ones like desmoplastic
3 small round cell and things like that, just to see
4 if you have any activity in those areas as well.

5 DR. PAPPO: Go ahead, Brenda.

6 DR. WEIGEL: Yes. I would just say,
7 building off of Richard's point, I think that
8 that's really the key concept, and that effect size
9 is something that is a moving target, depending on
10 what your ultimate plan and goal is. And that's
11 why I said we'd have to really think through what
12 the endpoints are and the next steps to really set
13 that at a level that's meaningful.

14 DR. PAPPO: Thank you. Any additional
15 questions or comments?

16 (No response.)

17 DR. PAPPO: So if I can summarize the
18 panel's discussion, we once again agree with the
19 patient population. I'm sorry. Go ahead, Greg.

20 DR. REAMAN: So I think we're all basically
21 saying the same thing here. But what would be a
22 meaningful effect size? Can you see a meaningful

1 effect size with 10 patients expanded to 20
2 patients, or do you really have to go to 55
3 patients? So is a response rate of 15 percent,
4 20 percent, 25 percent, what --

5 DR. WEIGEL: Yes. And historically, in
6 pediatric oncology, with traditional Simon 2-stage
7 designs -- and I'll be very careful in that
8 comment -- in the traditional Simon 2-stage design,
9 it is defined as an effect size of 10 percent to go
10 to the second stage and 20 percent at the end of
11 the second stage to move forward. And that effect
12 size is combined PR/CR rates and resist defined,
13 and that's the classic benchmark.

14 Now, in certain disease groups, there have
15 been re-analyses of some of this looking at
16 different endpoints and different ways of looking
17 at modified 2-stage designs or other endpoints.
18 But traditionally, that's what we have looked at.

19 DR. MASCARENHAS: Given that's a challenge,
20 outside further development and incorporation to
21 other therapies as a single agent, what probably
22 would also be more interesting is time to

1 progression, particularly in a cohort.

2 This may be challenging in a formal phase 2
3 study, where patients enter at different time
4 points, but in a homogenous population, that effect
5 size may be more clinically -- and I'm not speaking
6 for neuroblastoma here, but for rhabdomyosarcoma,
7 that may be more clinically relevant.

8 DR. PAPPO: Any additional comments or
9 questions further?

10 (No response.)

11 DR. PAPPO: So if I can summarize the
12 panel's discussion on question number 2, we agree
13 that the patient population that you have
14 identified is suitable to study this drug. We
15 strongly encourage you to look at an alternative
16 study design that does not use as many patients in
17 order to maximize this patient population that is
18 extremely rare.

19 In addition to that, you also need to
20 consider what is the effect that you're looking for
21 and if the right amount, the 10 to 20 percent is
22 really what you're going to be happy with, or you

1 think we're going to need something more for this
2 to move it forward, and also to consider time to
3 progression as another endpoint, not a primary
4 endpoint, but as another endpoint.

5 Did I leave anything out or does anybody
6 want to add anything to this? Greg?

7 DR. REAMAN: I guess also, in line with time
8 to progression, which is I think a little bit
9 difficult in a heterogeneous group of patients, it
10 would be not only response, but duration of
11 response. So durability is important as well.

12 DR. PAPPO: We will now move to question
13 number 3.

14 DR. OSGOOD: Please address the plans for
15 administering prexasertib in combination with
16 cytotoxic chemotherapy regimens. Please address
17 plans for administering prexasertib in combination
18 with other targeted therapies.

19 DR. PAPPO: If there are no questions or
20 comments concerning the wording of the question, we
21 will now open the question to discussion.

22 DR. MASCARENHAS: Leo Mascarenhas, Los

1 Angeles. I think the concern is neutropenia. I
2 think in pediatrics, that's easily addressable with
3 the use of growth factors, potentially, and with
4 the timing of your agent.

5 The 2-week dosing may make it a little
6 challenging for the long-acting growth factor, but
7 potentially when you're combining with cytotoxic
8 therapy, the nadir may be expanded and you might
9 need to dose it every 3 weeks. And I don't know
10 how that's going to affect the PK and efficacy, but
11 that's something to be considered. But at least in
12 pediatrics, I don't think that issue, unless it's
13 prolonged cytopenia with growth factor, will be an
14 issue.

15 DR. WEIGEL: I think the real challenge with
16 this agent is that there may be very different
17 strategies to combination depending on which agents
18 you're looking at. So I would give very careful
19 consideration to the prioritization in the tumor
20 types that you're most interested in, particularly
21 we've talked about neuroblastoma and
22 rhabdomyosarcoma, of really interrogating the

1 combinations that are of most relevance to those
2 tumor types in a prioritization and then continue
3 to go down the list.

4 I do think, given the role that radiation
5 therapy has in both of these tumor types, that that
6 also needs to be considered a very important
7 combination to consider and the timing of using the
8 drug around radiation therapy, as it is a component
9 of the treatment for both of those diseases.

10 DR. RAETZ: Just one thing that was brought
11 up before, the sequence that Dr. Weigel mentioned,
12 I think would be very important to see if truly
13 giving it 24 hours prior to cytotoxic chemotherapy
14 does lead to potentiation. I think it's a very
15 interesting question and would be relevant in the
16 leukemia population and probably others as well.

17 DR. PAPP0: Any additional comments or
18 questions?

19 (No response.)

20 DR. PAPP0: So to summarize the panel's
21 comments on this question, we're aware that
22 neutropenia is very prevalent with the use of this

1 agent, however the use of growth factors may help
2 mitigate this side effect. However, when you
3 combine it with other chemotherapy, you may
4 experience changes in the schedule, that you may
5 have to be given this therapy, not because of this
6 drug, but because of the other drugs that you are
7 giving. So you need to take that into
8 consideration as to how that would impact the
9 activity of your agent.

10 In addition to that, we strongly recommend
11 that you interrogate combination therapies that are
12 particularly important to these two subgroups of
13 tumors that you have identified, neuroblastoma and
14 rhabdomyosarcoma, and also to investigate the
15 rationale for the sequencing of this agent in
16 patients with leukemia.

17 Anything else I left out?

18 (No response.)

19 DR. PAPP0: We will now move to question
20 number 4.

21 DR. OSGOOD: Please comment on whether
22 rhabdomyosarcoma should be considered one disease

1 or divided into two disease entities for embryonal
2 and alveolar rhabdomyosarcoma, given the different
3 pathology and clinical course of these tumors.

4 DR. PAPPO: If there are no questions or
5 comments concerning the word of the question, we
6 will now open the question to discussion. Carola?

7 DR. ARNDT: In a perfect world, I think it
8 would be ideal to divide them into two separate
9 categories, but given all the challenges with
10 patient numbers, I don't think that that would be
11 realistic.

12 DR. MASCARENHAS: I agree with Dr. Arndt on
13 rhabdomyosarcoma, but a strategy may be to
14 potentially address this in the context of a larger
15 population and include adults with the disease, and
16 you might be able to get more patients on.

17 DR. PAPPO: I also agree with the previous
18 comments, and I think also that the data that you
19 have in the pre-clinical models is very limited to
20 say that it's more active against alveolar versus
21 embryonal. But I do agree that both groups should
22 be put together and to encompass a large population

1 such as adults.

2 Any additional comments or suggestions,
3 Brenda?

4 DR. WEIGEL: Just to build on that, I
5 completely agree. I would initiate all the studies
6 as a single cohort of rhabdomyosarcoma. And I
7 think if the pre-clinical and clinical data drive a
8 signal that there is a differential response, then
9 I would ask that question later.

10 DR. PAPPO: Any additional questions or
11 comments?

12 (No response.)

13 DR. PAPPO: So in order to summarize this
14 question, I think the panel agrees that two cohorts
15 should be put together, and you should just explore
16 a single cohort in rhabdomyosarcoma. However, if
17 you identify specific differential activities as
18 the trial goes on, then you could potentially
19 modify that. Anything else?

20 (No response.)

21 DR. PAPPO: We will now move to question
22 number 5.

1 DR. OSGOOD: Please address any short-term
2 and potential long-term or late toxicities that may
3 be associated with the use of this drug in
4 children.

5 DR. PAPPO: If there are no questions or
6 comments concerning the wording of the question, we
7 will now open the question to discussion. Carola
8 and then Leo?

9 DR. ARNDT: I didn't really get the sense,
10 or maybe I missed it from the presentation, about
11 long-term toxicities of this agent. Can we ask the
12 sponsor if there is any preliminary data?

13 DR. LIN: At the current time, we wouldn't
14 have any specific concerns around long-term
15 toxicities, but our data is obviously in an older
16 adult population. And given the mechanism of
17 action where we're disrupting DNA damage repair,
18 we're inducing double-stranded DNA breaks and then
19 inhibiting some of the replicative processes, that
20 was I think the genesis of the question.

21 DR. ARNDT: I guess second malignancies
22 would be a major concern to watch for. But again,

1 these patients, at least in the initial studies,
2 are going to be relapsed patients, and there's not
3 going to be the opportunity to watch for second
4 malignancies in the initial cohorts.

5 DR. MASCARENHAS: To add to that, I concur
6 with second malignancies, but I would add to that
7 infertility. But again, it may not be able to be
8 addressed in the population.

9 DR. ANGIOLILLO: Anne Angiolillo, D.C.
10 Children's. Just as an extension, answering the
11 question with another question, one wonders then
12 with the DNA repair, if a certain cohort of
13 patients should be excluded, Fanconi, Bloom
14 syndrome, whatever, should these patients have a
15 malignancy, just to think about that when you
16 design the trial.

17 DR. GORLICK: The concern about following
18 these toxicities and relapse has been addressed,
19 but not mentioned is in newly diagnosed patients.
20 All of the other therapies cause the same toxicity,
21 so it's going to take a long time and a lot of
22 patients to decipher this as different from the

1 baseline.

2 DR. PAPPO: Any additional comments or
3 suggestions?

4 (No response.)

5 DR. PAPPO: So if I can summarize the panel
6 discussion on this question and the sponsor's,
7 there's really no information on long-term toxicity
8 on these agents, but we also have to take into
9 consideration that it's an older adult population.
10 This is a younger population.

11 On the other hand, the initial studies are
12 going to be conducted on patients with relapse
13 disease, so it will be very unlikely that we will
14 be able to see any secondary effects from these
15 therapies, for example infertility of secondary
16 malignancies. However, if you decide to do this in
17 newly diagnosed patients, you should have a plan in
18 place to monitor long-term toxicities of these
19 agents.

20 Anything else I missed or anything else
21 anybody would like to add?

22 (No response.)

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Adjournment

DR. PAPPO: We will now adjourn the meeting.
Panel members, please remember to drop off your
name badge at the registration table on your way
out so that they may be recycled. Thank you.

(Whereupon, at 11:45 a.m., the session was
adjourned.)