

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

FOOD AND DRUG ADMINISTRATION

CENTER FOR DRUG EVALUATION AND RESEARCH

ONCOLOGIC DRUGS ADVISORY COMMITTEE (ODAC)

Afternoon Session

Wednesday, July 12, 2017

12:34 p.m. to 3:29 p.m.

FDA White Oak Campus

White Oak Conference Center

The Great Room

Silver Spring, Maryland

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Jennifer Shepherd, RPh**

4 Division of Advisory Committee and

5 Consultant Management

6 Office of Executive Programs, CDER, FDA

7

8 **ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)**

9 **Grzegorz S. Nowakowski, MD**

10 Associate Professor of Medicine and Oncology

11 Mayo Clinic Rochester

12 Rochester, Minnesota

13

14 **Brian I. Rini, MD, FACP**

15 Professor of Medicine, Lerner College of Medicine

16 Leader, GU Program

17 Department of Hematology and Oncology

18 Cleveland Clinic Taussig Cancer Institute

19 Cleveland, Ohio

20

21

22

1     **Bruce J. Roth, MD**

2     *(Chairperson)*

3     Professor of Medicine

4     Division of Oncology

5     Washington University School of Medicine

6     St. Louis, Missouri

7  
8     **ACTING INDUSTRY REPRESENTATIVE TO THE COMMITTEE**

9     ***(Non-Voting)***

10    **Gary Gordon, MD, PhD**

11    Vice President, Oncology Development

12    AbbVie, Inc.

13    North Chicago, Illinois

14

15

16

17

18

19

20

21

22

1       **TEMPORARY MEMBERS (Voting)**

2       **Catherine M. Bollard, MBChB, MD**

3       Professor of Pediatrics and Microbiology,  
4       Immunology Chief, Division of Allergy and  
5       Immunology  
6       Director, Program for Cell Enhancement and  
7       Technologies for Immunotherapy  
8       Children's National Medical Center  
9       The George Washington University  
10      Washington, District of Columbia

11

12      **Bernard F. Cole, PhD**

13      Professor  
14      Department of Mathematics and Statistics  
15      University of Vermont  
16      Burlington, Vermont

17

18

19

20

21

22

1 **Timothy P. Cripe, MD, PhD, FAAP, CPI**

2 Professor and Chief

3 Division of Hematology/Oncology/BMT

4 Nationwide Children's Hospital

5 The Ohio State University

6 Columbus, Ohio

7

8 **James L. Gulley, MD, PhD, FACP**

9 Chief

10 Genitourinary Malignancies Branch

11 Center for Cancer Research

12 National Cancer Institute (NCI)

13 National Institutes of Health (NIH)

14 Bethesda, Maryland

15

16

17

18

19

20

21

22

1     **Larry W. Kwak, MD, PhD**

2     Vice President and Cancer Center

3     Associate Director, Translational Research &

4     Developmental Therapeutics

5     Director, Toni Stephenson Lymphoma Center

6     Dr. Michael Friedman Professor

7     Department of Hematology and Hematopoietic Stem

8     Cell Transplantation

9     City of Hope National Medical Center

10    Duarte, California

11  
12    **Gianna McMillan, MA**

13    *(Patient Representative)*

14    Los Angeles, California

15  
16    **Alan Rein, PhD**

17    Head

18    Retroviral Assembly Section

19    HIV Dynamics and Replication Program

20    National Cancer Institute at Frederick, NIH

21    Frederick, Maryland

22

1     **Malcolm A. Smith, MD, PhD**

2     Associate Branch Chief for Pediatric Oncology

3     Cancer Therapy Evaluation Program

4     Division of Cancer Treatment and Diagnosis

5     NCI, NIH

6     Bethesda, Maryland

7

8     **FDA PARTICIPANTS (Non-Voting)**

9     **Richard Pazdur, MD**

10    Director, Oncology Center of Excellence (OCE), FDA

11    Acting Director, Office of Hematology & Oncology

12    Products (OHOP)

13    Office of New Drugs (OND), CDER, FDA

14

15    **Wilson W. Bryan, MD**

16    Director, Office of Tissues and

17    Advanced Therapies (OTAT)

18    Center for Biologics Evaluation and

19    Research (CBER), FDA

20

21

22

1     **Maura O'Leary, MD**

2     Medical Officer, Team Leader Clinical Hematology

3     Branch (CHB)

4     Division of Clinical Evaluation &

5     Pharmacology/Toxicology (DCEPT)

6     OTAT, CBER, FDA

7

8     **Marc Theoret, MD**

9     *(Afternoon Session Only)*

10    Acting Associate Director of Immuno-Oncology

11    Therapeutics

12    OCE, FDA

13

14    **Bindu George, MD**

15    *(Afternoon Session Only)*

16    Branch Chief Clinical Hematology Branch (CHB)

17    DCEPT, OTAT, CBER, FDA

18

19

20

21

22

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Donna Przepiorka, MD, PhD**

*(Afternoon Session Only)*

Team Leader

Division of Hematology Products

OHOP, OND, CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	<b>Applicant Presentations - Novartis</b>	
4	Efficacy	
5	Samit Hirawat, MD	11
6	Safety	
7	David Lebwohl, MD	23
8	Clinical Perspective	
9	Stephan Grupp, MD, PhD	34
10	Conclusion	
11	David Lebwohl, MD	48
12	<b>FDA Presentations</b>	
13	BLA 125646 Tisagenlecleucel	
14	Maura O'Leary, MD	50
15	Clarifying Questions to the Presenters	66
16	Open Public Hearing	99
17	Questions to the Committee and Discussion	125
18	Adjournment	140
19		
20		
21		
22		

P R O C E E D I N G S

(12:34 p.m.)

DR. ROTH: Thank you for your patience.

We'll start the afternoon session, which will be dedicated to clinical issues, specifically efficacy and safety. And we'll start with the applicant's presentation this afternoon. Again, Dr. Hirawat?

**Applicant Presentation - Samit Hirawat**

DR. HIRAWAT: Thank you, Dr. Roth.

Good afternoon. Again, I am Samit Hirawat, head of oncology global development unit at Novartis. This afternoon, we will focus on the efficacy and safety results observed in our clinical development plan, and Dr. Grupp will provide his clinical perspective. But first, I'd like to recap some of the key points we've discussed.

As Dr. Hunger described, more than 600 children and young adults in the U.S. are faced with relapsed or refractory ALL every year. Their treatment options are limited and are associated with poor outcomes and high toxicity. Diseases

1 like pediatric ALL are why we are vested in CAR  
2 T-cell therapy, and CTL019 offers a new hope.

3 Over the past five years, we have developed  
4 a highly reproducible and safe manufacturing  
5 process, established quality criteria that  
6 correlate with positive outcomes, and instituted a  
7 rigorous chain of identity protocols.

8 Regarding some of the discussion this  
9 morning, we would like to reiterate that we  
10 routinely achieved greater than 98 percent T cells  
11 in the final product. We have never detected any  
12 B cells or other contaminating cells other than  
13 occasional trace amounts of NK cells in batches  
14 made by Novartis.

15 In addition, we have performed extensive  
16 characterization of T-cell phenotypes on every  
17 infused batch and have evaluated this data against  
18 clinical outcome measures. We have identified no  
19 other attributes that provide greater assurance of  
20 product quality than the ones we have already  
21 discussed. For example, we have seen that there is  
22 no relationship between CD4-CD8 ratios and efficacy

1 or safety, and these data have been submitted to  
2 the agency. With this background in mind, I will  
3 now review the data supporting the clinical  
4 efficacy of CTL019.

5 The BLA submission is based on three key  
6 trials. The pivotal trial, B2202, is a global  
7 multicenter trial. There is a supportive study,  
8 B2205J, a multicenter trial conducted in the United  
9 States. We also have a supportive trial, B2101J, a  
10 phase 1-2 trial conducted at a single center in the  
11 United States.

12 B2101J was the earliest study of CTL019  
13 starting in March 2012 for pediatric and young  
14 adult ALL patients. It established the feasibility  
15 of CTL019 manufacturing and use in the clinical  
16 setting with a high rate of durable complete  
17 remissions.

18 The on-target effect of cytokine-release  
19 syndrome was absorbed early on in this trial. And  
20 as the principal investigator, Dr. Grupp introduced  
21 the use of tocilizumab to reverse the toxicity.  
22 We'll discuss CRS in more detail later in our

1 presentations.

2           The first patient in this trial was also the  
3 first pediatric patient to receive tocilizumab for  
4 CRS. She was 6 years old at the time. She remains  
5 in complete remission today, more than five years  
6 later.

7           The overall remission rate in B2101J was  
8 95 percent. This more than doubled the results  
9 seen with other available therapies shown this  
10 morning by Dr. Hunger; 49 of 52 patients, or  
11 89 percent, with CR or CRi were MRD negative after  
12 CTL019.

13           The complete remission in this trial are  
14 durable. In fact, the duration of remission for  
15 responders in B2101J study have not been reached.  
16 Estimated relapse-free data two years is  
17 approximately 60 percent. Overall survival was  
18 also prolonged with a median duration of 33 months.

19           Turning now to data from our pivotal study,  
20 B2202, B2202 was a global multicenter trial. It  
21 was conducted at 25 sites across 11 countries.  
22 Patients were consented and screened, and eligible

1 patients were enrolled into the trial. During the  
2 period of manufacturing, the patients were  
3 stabilized as needed with bridging chemotherapy.

4 Before the patients were infused with  
5 CTL019, they received lymphocyte-depleting  
6 chemotherapy. After the infusion, patients are  
7 followed for response and safety assessment for  
8 5 years. There is additional long-term safety  
9 follow-up ongoing for these patients for up to  
10 15 years.

11 The treatment is a single intravenous  
12 infusion. The dose used in the phase 2 study was  
13 based on the experience from previous trials. Of  
14 particular note, remissions were seen across all  
15 doses used in those prior trials. Patients less  
16 than or equal to 50 kilograms received weight-based  
17 dosing, and those greater than 50 kilograms  
18 received a fixed dose.

19 Patients eligible for the study had relapsed  
20 or refractory B-cell ALL with at least 5 percent  
21 bone marrow lymphoblasts. Patients included in the  
22 study ranged from age 3 years at the time of

1 screening to age 21 years at the time of initial  
2 diagnosis. Patients were excluded if they had  
3 received prior anti-CD19 therapy.

4 The primary endpoint of the study was  
5 overall remission rate within 3 months after CTL019  
6 administration as assessed by an independent review  
7 committee. Overall remission rate was the sum of  
8 the patients who had either a complete remission or  
9 CR or a complete remission with incomplete recovery  
10 of bone marrow function or CRi. Overall remission  
11 rate required that no clinical evidence of relapse  
12 could be observed at least 4 weeks after initial  
13 achievement of CR or CRi.

14 The key secondary endpoint was the remission  
15 rate for patients who achieved a minimal residual  
16 disease or MRD-negative bone marrow within that  
17 3-month period. Other efficacy endpoints you will  
18 see today are duration of response and overall  
19 survival.

20 To assess efficacy, the leukemic blasts were  
21 evaluated in the bone marrow, peripheral blood,  
22 CSF, and extramedullary regions at defined

1 intervals, as shown here. The sample size was  
2 based on the premise that 76 patients would provide  
3 greater than 95 percent power to reject a null  
4 hypothesis of 20 percent under the alternative  
5 hypothesis that the overall remission rate is at  
6 least 45 percent.

7 An interim analysis was planned after the  
8 first 50 patients either were infused or completed  
9 3-month follow-up or had discontinued earlier. The  
10 primary endpoint was considered met at the interim  
11 analysis if the one-sided p-value was less than  
12 0.0057. The key secondary endpoints are tested  
13 sequentially to control overall alpha.

14 Overall, 88 patients were enrolled, of whom  
15 16 discontinued prior to CTL019 infusion. At the  
16 time of the updated analysis, 4 patients were  
17 awaiting CTL019 infusion. That leaves 68 patients  
18 who received CTL019 infusion. Of these, 19 have  
19 discontinued the study but have been followed for  
20 survival. So now, there are 49 patients who remain  
21 in the study and are being followed for safety,  
22 efficacy, and overall survival.

1           The patient population in study B2202 is  
2 representative of the overall relapsed/refractory  
3 B-cell ALL population in clinical practice. The  
4 median age in the trial was 12 years and ranged  
5 from 3 to 23 years of age. About half the patients  
6 were female.

7           Most of the patients in the trial were  
8 white, though other races were represented.  
9 Patients had received a median of 3 prior lines of  
10 therapy, and 59 percent of the patients had  
11 received a prior stem cell transplantation. Nine  
12 percent of the patients had primary refractory  
13 disease. The median morphological blast count at  
14 the time of enrollment was 73 percent.

15           This slide shows the various analyses sets  
16 we'll discuss today. Overall, 107 patients were  
17 screened and 88 were enrolled. The full analysis  
18 set and the safety set include all 68 patients who  
19 were infused with CTL019. 63 patients were  
20 included in the updated efficacy analysis, and they  
21 were the basis of the BLA submission. Both the  
22 interim and the updated efficacy analyses were

1 performed with patients receiving US-manufactured  
2 CTL019.

3 The interim analysis included 50 patients  
4 who had been followed for 3 months. The primary  
5 and all key secondary endpoints were met. The  
6 final analysis for patients with US-manufactured  
7 product, which is the main focus today, was  
8 performed when 63 patients had completed either  
9 3 months of follow-up or had discontinued earlier.

10 It's worth noting that at the time of this  
11 final analysis, 50 patients were followed for at  
12 least 6 months or discontinued earlier. The study  
13 is ongoing, and it will evaluate additional  
14 patients who receive CTL019 manufactured by our  
15 European facility.

16 Here, we see the efficacy result for the  
17 final analysis with U.S. manufacturing. 83 percent  
18 of the patients achieved a remission, either CR or  
19 CRi. The results of the primary and key secondary  
20 efficacy endpoints were consistent between the  
21 interim and the final analysis. At the time of the  
22 interim analysis, this study met both the primary

1 and the key secondary endpoints. The overall  
2 remission rate was 82 and is now 83 percent. Most  
3 of the patients achieved a complete remission, and  
4 all patients who achieved a remission achieved MRD-  
5 negative bone marrow.

6 We performed a sensitivity analysis for  
7 overall remission rate, which included the  
8 16 patients who enrolled but were discontinued  
9 prior to CTL019 infusion. Now, you have the  
10 63 patients included in the primary analysis plus  
11 the 16 for a total of 79 patients. The response  
12 rate in this analysis is 66 percent, and the lower  
13 bound of the confidence interval excludes  
14 20 percent. Of note, there is 100 percent  
15 concordance between the independent review  
16 committee and the investigator's review of  
17 remissions.

18 To address the question of whether time from  
19 enrollment to infusion might impact remissions, we  
20 performed an additional sensitivity analysis. With  
21 a median time of infusion of 42 days, the data show  
22 that ORR was similar among patients treated before

1 or after the median wait time.

2 We also looked at subgroups in the trial.  
3 The overall remission rate is consistent across all  
4 subgroups, including those based on age, gender,  
5 race, or ethnicity. High remission rates were also  
6 observed in patients who had prior stem cell  
7 transplantation, those with high or low marrow  
8 burden at baseline, and those with complex  
9 karyotypes. And the duration of remission is  
10 prolonged; 75 percent of patients were relapse-free  
11 at 6 months, after the onset of their remission.  
12 The median duration of remission has not been  
13 reached.

14 Looking now at the entire analysis set of  
15 68 patients for overall survival, the overall  
16 survival is 89 percent at 6 months and is  
17 79 percent at 12 months.

18 We will next look at clinical pharmacology.  
19 This cellular kinetic profile is for an individual  
20 patient from study B2202. It represents the CTL019  
21 transgene as measured by qPCR. Immediately  
22 following the infusion, CTL019 cells distribute

1 throughout the body, followed by a rapid expansion  
2 of cells until around day 11. This is then  
3 followed by a decline over time.

4 Overlaid here are the data for the  
5 50 patients included in the interim analysis set.  
6 Responders are shown in the black and non-  
7 responders in red lines. As you can see, there is  
8 individual variability across all patients. These  
9 data are consistent with observations in B2205J and  
10 B2101J studies, where persistence or presence of  
11 transgene has been measured beyond two years.

12 Summarizing the kinetics among all patients  
13 in B2202, we saw an increase in expansion among the  
14 responding patients compared to those with a non-  
15 response.

16 Turning now to dose finding, responses were  
17 observed across the entire dose range administered  
18 in the clinical trials of CTL019. In fact, overall  
19 remission rates were similar across all dose  
20 quartiles. Dose had no apparent impact on the  
21 development of CRS or neurological toxicity.

22 To summarize our efficacy results, the

1 primary endpoint in study B2202 was met. The  
2 updated analysis show an 83 percent overall  
3 remission rate. Results were consistent between  
4 the independent review committee and the local  
5 investigator's assessment, and the sensitivity  
6 analysis and subgroup analysis were consistent with  
7 the primary analysis.

8 All patients with CR or CRi had minimum  
9 residual disease negative bone marrow. Responses  
10 are durable. The median duration of response has  
11 not been reached, and 75 percent of patients were  
12 relapse-free at 6 months. The survival probability  
13 is 89 percent at 6 months and 79 at percent at 12  
14 months. These results are consistent for all key  
15 secondary endpoints across the trial. The pivotal  
16 trial data confirm the encouraging results observed  
17 in the prior studies. Thank you.

18 Now, I'd like to invite Dr. Lebwohl back to  
19 the podium to share our safety data and the  
20 pharmacovigilance data. Dr. Lebwohl?

21 **Applicant Presentation - David Lebwohl**

22 DR. LEBWOHL: Thank you, Dr. Hirawat.

1 I'd like to turn to the safety seen with  
2 CTL019. This shows the safety populations from  
3 each of the three studies, the pivotal study B2202,  
4 and the supportive trials, B2205J and B2101J.

5 The safety data were pooled from the two  
6 multicenter trials as shown here, so it will be a  
7 total of 97 patients in the safety analysis.  
8 Studies B2202 and B2205J had nearly identical study  
9 designs and enrolled a similar patient population.  
10 Both trials used a single infusion, and  
11 standardized lymphodepleting regimens, and the same  
12 safety reporting conventions. Data from these two  
13 studies were pooled to allow a more robust safety  
14 analysis in a larger population of patients.

15 Safety was maintained with a comprehensive  
16 training program before any sites started. This  
17 included training on leukapheresis with a stem cell  
18 lab and clinical centers. It also included  
19 education for the patients and families. Important  
20 to the safety is that we maintained a chain of  
21 identity for patient material.

22 Prior to infusion, all patients had

1 influenza testing, assessment of their performance  
2 status and disease status, and laboratory testing.  
3 Following CTL019 infusion, the sites monitored and  
4 managed adverse events such as cytokine-release  
5 syndrome and neurologic events.

6 Looking again at the study design that  
7 Dr. Hirawat showed you earlier, I want to point out  
8 that there are three safety reporting periods. The  
9 first is during bridging chemotherapy. This  
10 therapy is used to control the disease during  
11 manufacturing between enrollment and the initiation  
12 of lymphodepleting chemotherapy.

13 The second is after the administration of  
14 lymphodepleting chemotherapy, which is required for  
15 most patients. And the third and main reporting  
16 period is after infusion of CTL019.

17 123 patients were enrolled in the two  
18 trials, of which 22 patients discontinued prior to  
19 CTL019 infusion. 97 patients were infused, and the  
20 infusion was pending for the remaining 4 patients.  
21 Of the 22 patients who discontinued prior to CTL019  
22 infusion, 9 patients' products could not be

1 manufactured, 7 of which were due to intrinsic cell  
2 factors. Of the deaths prior to infusion, 5 were  
3 due to ALL, 3 due to infection, and 2 caused by  
4 organ failure.

5 I'll tell you next about the safety of all  
6 patients following enrollment. Grade 3-4 adverse  
7 events occurred prior to lymphodepleting  
8 chemotherapy and are summarized here. The most  
9 common events were febrile neutropenia and anemia.  
10 These are commonly observed events in patients with  
11 ALL who are receiving multi-agent chemotherapy.

12 Now, here are the grade 3-4 adverse events  
13 occurring following lymphodepleting chemotherapy,  
14 but prior to CTL019 infusion. The most common  
15 events are cytopenias and febrile neutropenia.  
16 These are also commonly observed in patients with  
17 ALL receiving multi-agent chemotherapy.

18 CTL019 is a single infusion and the adverse  
19 events differ between the first 8 weeks and the  
20 time after that. 69 percent of patients had a  
21 serious adverse event that was suspected to be  
22 related to CTL019 in the first 8 weeks, but the SAE

1 rate goes down to 4 percent after 8 weeks.

2 Similarly, for grade 3-4 adverse events,  
3 most are in the first 8 weeks, with 72 percent of  
4 patients having a suspected event. This goes down  
5 to 19 percent after 8 weeks.

6 With this time frame in mind, adverse events  
7 of special interest were assessed within the first  
8 8 weeks after infusion. As we saw in earlier  
9 trials, cytokine-release syndrome was the most  
10 common event. The other thing we watched closely  
11 were cytopenias, which had not resolved by day 28.  
12 Let's look at these events in more detail.

13 Cytokine release syndrome is an expected  
14 on-target effect. It is considered to be a  
15 consequence of cell expansion with CTL019 as well  
16 as the activation of T cells and tumor cell  
17 killing. The presence of a high tumor burden at  
18 baseline or early fever may be predictive for  
19 severe CRS, and the most common symptoms are shown  
20 here.

21 In our trials, we instituted a CRS  
22 management algorithm that results in CRS

1 improvement and resolution in almost all patients.  
2 There were no deaths due to refractory CRS.

3           The median time to CRS onset was day 3, but  
4 it started as late as day 22. The median duration  
5 was 8 days. 44 percent of the patients were  
6 admitted to the intensive care unit with a median  
7 stay of 8 days; 34 percent of patients received  
8 systemic anti-cytokine therapy, which for most  
9 patients was tocilizumab, an antagonist for the  
10 IL-6 pathway. Patients received other supportive  
11 measures, including 16 percent who were intubated  
12 at some point in their course.

13           This is the proposed CRS algorithm,  
14 including our plan prescribing information. We  
15 will restrict the use of CTL019 to trained and  
16 certified clinical centers, and we'll provide  
17 educational materials to physicians, nurses,  
18 caregivers, and patients.

19           Patients who have symptoms are managed in  
20 the first line with oxygen, hemodynamic  
21 stabilization, and treatment for febrile  
22 neutropenia. If there is further deterioration,

1 they are treated with tocilizumab as well as  
2 hemodynamic and respiratory support. As a third  
3 line, we recommend considering steroids and  
4 additional dosing with tocilizumab.

5 Other alternatives are available, including  
6 siltuximab, which interrupts the IL-6 pathway, as  
7 well as anti-T-cell therapies and other agents.  
8 This algorithm was used in the clinical trials and  
9 optimized over time. Of note, only 6 percent of  
10 patients with CRS received fourth-line management  
11 and no patients required fifth-line CRS management.

12 Some patients had prolonged cytopenias  
13 following infusion with CTL019. 48 percent  
14 experienced grade 3-4 thrombocytopenia, and  
15 61 percent reported grade 3-4 neutropenia at  
16 28 days. But overall, these cytopenias resolved  
17 quickly, with about two-thirds of the cases  
18 resolved by 4 months after infusion.

19 Grade 3 neurologic events were reported in  
20 11 percent of patients, but no grade 4 events were  
21 observed. The most common events at grade 1 and 2  
22 was confusional state, and this was often seen in

1 the setting of cytokine-release syndrome. There  
2 were no cases of cerebral edema in this study.

3 In addition, there were 2 deaths due to  
4 secondary neurologic events, which aren't included  
5 here. One was a case of cerebral hemorrhage and  
6 one was a case of embolic stroke due to  
7 mucormycosis.

8 Prolonged B-cell aplasia is an expected  
9 on-target effect of CTL019 therapy. All patients  
10 who achieved CR/CRi developed B-cell aplasia.  
11 Long-term data from B2101J suggests that B-cell  
12 aplasia may persist for greater than 3 years.  
13 B-cell aplasia is managed with immunoglobulin  
14 replacement and other standard measures.

15 The most common serious adverse event is  
16 cytokine-release syndrome. We also see febrile  
17 neutropenia, hypotension, and pyrexia. Within the  
18 30 days after CTL019 infusion, there were 2 deaths  
19 due to leukemia, 1 death due to cerebral  
20 hemorrhage, and 1 death due to an infected embolic  
21 stroke. After 38 days, 30 days, the most common  
22 cause was leukemia, but there are 3 other cases,

1 one of a patient with encephalitis, a patient with  
2 bacterial respiratory tract infection, and a  
3 patient with systemic mycosis. Patients remain at  
4 risk for infection, so we're recommending close  
5 monitoring for signs and symptoms of infection.

6 Health-related quality of life was assessed  
7 with a child-friendly questionnaire in patients  
8 8 years or older. The patient-reported quality of  
9 life among responding patients to CTL019 improved  
10 compared with their baseline status.

11 These improvements far exceeded the minimal  
12 clinically important differences. In fact, the EQ  
13 VAS scores at 3 months are comparable to the  
14 normative means for the general population. By  
15 this measure, patients return to a similar quality  
16 of life as healthy children, despite the toxicities  
17 experienced following infusion.

18 A long-term safety study is continuing for  
19 all patients in the clinical trials. Per FDA  
20 guidance for gene therapies, the study will monitor  
21 for delayed adverse events and efficacy for up to  
22 15 years following treatment.

1           In the commercial setting, patients will be  
2 followed long term using a registry. This  
3 observational trial will collect information on  
4 adverse events and efficacy and will monitor B-cell  
5 levels as a surrogate for persistence.

6           RCL will be monitored in the long-term  
7 follow-up of the clinical trials, and as part of  
8 the registry, Novartis will conduct an  
9 investigation should an unexpected event be  
10 observed.

11           As we work to bring this new therapy to the  
12 medical community, we have proposed a careful  
13 selection of sites for the safe use of CTL019.  
14 With 30 to 35 initial sites, we will achieve access  
15 for patients throughout the United States. All  
16 sites must be FACT accredited and accredited for  
17 allogeneic stem cell transplantation.

18           Novartis will train centers on processes for  
19 cell collection, cryopreservation, transport, chain  
20 of identity, safety management, and logistics for  
21 CTL019. We will also provide educational resources  
22 for patients and caregivers.

1           We are also proposing a REMS. The goal of  
2 the REMS is to assure safe access for patients by  
3 mitigating the risks of CRS and neurologic events.  
4 Implementation of the REMS is a joint  
5 responsibility of Novartis and the sites.

6           Novartis will be responsible for ensuring an  
7 authorized representative is designated at each  
8 site. We will provide training for the site  
9 personnel on the key adverse events, and we will  
10 ensure that only certified prescribers can order  
11 CTL019.

12           The sites' designated representative should  
13 ensure that all staff complete their training and  
14 knowledge assessments and verify availability of  
15 anti-cytokine medications. Given the early risk of  
16 CRS and the need for early intervention, the site  
17 will ensure that patients stay close to the  
18 treatment center for 3 to 4 weeks after infusion.

19           To summarize, the safety profile of CTL019  
20 is well characterized and can be managed  
21 effectively. CRS is an on-target toxicity that is  
22 limited to the first 4 to 6 weeks after infusion,

1 and there have been no refractory or fatal cases.

2 A treatment algorithm for CRS has been  
3 optimized in three clinical trials. Neurologic  
4 events are transient and occurred within the first  
5 30 days following infusion. Other adverse events  
6 of special interest are manageable with best  
7 supportive care.

8 B-cell aplasia in responding patients has  
9 been managed with immunoglobulin replacement  
10 therapy. There have been no replication-competent  
11 lentivirus observed in any patients, and there has  
12 been no insertional oncogenesis observed in any  
13 patients. Thank you.

14 We will now turn to Dr. Grupp to discuss his  
15 clinical perspective. Dr. Grupp is the director of  
16 the cancer immunotherapy program, chief of the  
17 section of cell therapy and transplantation at  
18 Children's Hospital of Philadelphia, and professor  
19 of pediatrics at the Perelman School of Medicine at  
20 the University of Pennsylvania. Thank you.

21 **Applicant Presentation - Stephan Grupp**

22 DR. GRUPP: Thanks, everyone. I appreciate

1 the chance to talk about the clinical perspective.  
2 In addition to the titles that David mentioned, I  
3 also have relevant experience with cell therapy and  
4 specifically with CTL019. I have been treating  
5 patients with this particular drug for over five  
6 years. I have led the CHOP single-site center  
7 B2101 that you have heard about and also had the  
8 privilege to run the study steering committees for  
9 both B2205 and B2202. My disclosures are that I  
10 have research support from Novartis, but I have no  
11 personal financial interest in the outcome of the  
12 study.

13 I think that we've seen Dr. Hunger talk  
14 about the unmet medical need in these patients.  
15 We've heard from a parent this morning, and I think  
16 it's very clear to all of us that although  
17 pediatric ALL is a terrific success story and that  
18 modern therapy for pediatric ALL works very well  
19 for a large number of the patients, the patients  
20 who are left behind when chemotherapy doesn't work  
21 are in really tough shape. And these are patients  
22 that are very hard to treat.

1           I see this as a pediatric stem cell  
2 transplanter, who over the past 20 to 25 years have  
3 been trying to get these patients into  
4 transplantable remissions so that they can then  
5 access therapy that may be curative for some of  
6 them.

7           Our experience is that these relapsed  
8 patients are harder and harder to get into  
9 remission. This is absolutely the trend in these  
10 kids for sure. As chemotherapy got better, the  
11 folks that we treat and try to treat with relapse  
12 are harder and harder to treat.

13           I think a key point that Dr. Hunger made is  
14 that it's very hard to get these patients to a  
15 point where they can get transplanted. We do not  
16 transplant patients in pediatrics if they are not  
17 in remission. And so this means hitting them with  
18 very intensive chemotherapy over, and over, and  
19 over again as we drive them past what is a clinical  
20 remission and down to an MRD level that's actually  
21 acceptable for transplant, which requires weeks and  
22 months in the hospital and extraordinary levels of

1 morbidity and the potential for mortality in these  
2 patients. And many of them lose their access to  
3 transplant not only because of inadequate disease  
4 response, but because of clinical events related to  
5 all that chemotherapy.

6 So it's very hard to get these patients to  
7 the point where we can actually transplant them in  
8 the transplant centers. So for that reason, the  
9 current treatment options are just not adequate.

10 Now, you saw a part of this slide from  
11 Dr. Hunger earlier looking at prior studies for  
12 relapsed ALL, and now I can put CTL019 in context.  
13 It's a similar-sized study with 68 patients, a high  
14 number of patients with three or more prior  
15 regimens, so heavily pre-treated, and this is  
16 absolutely our experience in these patients.  
17 You've heard the overall response rate of  
18 83 percent, all of which were MRD negative.

19 The median overall survival in these  
20 patients compares very favorably at almost  
21 17 months. The 12-month overall survival,  
22 79 percent, again very favorable. Then as our

1 focus is on safety, the recognition that early  
2 mortality within 30 days also compares favorably to  
3 both clofarabine and blinatumomab at 3 percent.

4 Now, as I mentioned, we've been running a  
5 trial at Children's Hospital of Philadelphia in the  
6 University of Pennsylvania, testing this as a  
7 single-site trial. We started in 2012 with the  
8 first trial, the first ALL patient, and we've seen  
9 95 percent complete response rate in infused  
10 patients on this trial, most of whom were MRD  
11 negative; again, the median overall survival in  
12 this group of patients with longer follow-up, 33  
13 months.

14 This was a study in which we learned how to  
15 use tocilizumab to control cytokine-release  
16 syndrome, and this was a key finding because  
17 steroids are not adequate to treat cytokine-release  
18 syndrome. It's really the understanding that the  
19 IL-6 pathway is instrumental in the key toxicity of  
20 these patients that allowed us to treat these  
21 patients safely. This led to a CRS grading scale,  
22 so we could actually understand CRS a little bit

1 better, and a toxicity management approach, which  
2 was further codified in collaboration with Novartis  
3 for the multi-site trials.

4 We also saw patients who have long-term  
5 persistence of CTL019 cells, and this led us to the  
6 thought that it might be possible to use this in  
7 some patients as definitive therapy and not go on  
8 to bone marrow transplantation after they achieve a  
9 remission.

10 This then took us to the B2202 trial. Now,  
11 this was the first global trial of a cell therapy,  
12 the first global multicenter trial. It was also,  
13 very importantly, supplied by Novartis supplies, so  
14 this was the actual pharma supply that will be used  
15 in commercial use, so a global supply chain able to  
16 supply 25 centers, 11 countries across the globe  
17 from the United States.

18 You've already heard the overall response  
19 rate. And the most common question that I got in  
20 the years that I was describing the experience at  
21 CHOP and Penn was, "That's great. You're doing  
22 that at a single center. You have a dedicated

1 team." I have fantastic people that I work with.  
2 We have an ICU that's very used to taking care of  
3 these patients. "What does this look like when  
4 other people start doing it? Can you do the same  
5 thing? And more importantly, not only can you  
6 achieve the same efficacy, can you make it work  
7 safely?"

8 I think that was the key learning from this  
9 study, that we could absolutely do that. We had a  
10 clear toxicity management program. We are able to  
11 train sites, that Novartis was able to train sites  
12 on the approaches that we had started to learn at  
13 Children's Hospital Philadelphia.

14 So this is the result from the B2202 trial.  
15 And with the follow-up that we have currently  
16 available, this looks quite promising. But again,  
17 in the name of trying to sort of compare this to a  
18 single-site experience, you can make a visual  
19 comparison to the duration of response curve from  
20 the single-site trial.

21 So that's shown in yellow or orange,  
22 depending on how that projects, and then we have in

1 blue the 2202 multi-site trial. And you can see  
2 that the shapes of the curves are quite comparable.  
3 And there's a sense in the longer follow-up that we  
4 have available in B2101 that there is a plateau in  
5 the curve.

6 One of the striking things in watching  
7 patients get this therapy is that across a very  
8 broad range of patient characteristics, the  
9 outcomes are very similar. So patients with  
10 refractory disease go into remission. Patients  
11 with lower disease burdens go into remission, but  
12 also patients with higher disease burdens also go  
13 into remission. I think that that's extremely  
14 important.

15 As a transplanter, half to two-thirds of  
16 these patients had already had a transplant and had  
17 a recurrence after that transplant. Those patients  
18 do equally well. Patients with very difficult  
19 cytogenetics and other characteristics indicating  
20 very high clinical risk go into remission.

21 It's interesting to see in a small group of  
22 patients with Down's syndrome. Down's syndrome

1 patients can be a very tough group to treat.  
2 They're very intolerant of high-dose chemotherapy.  
3 Transplant can be really challenging for these  
4 patients. It's a small group of patients, granted,  
5 but they seem to do equally well with this cell  
6 therapy.

7 Safety. Cytokine-release syndrome is the  
8 principal toxicity. You've heard about this over  
9 and over again. This is expected with CTL019  
10 therapy. It's expected to the point where some  
11 parents with a patient with a low disease burden  
12 will say, "I'm worried because my child does not  
13 look sick. They don't have a fever yet. Are we  
14 going to see a response to the therapy?"

15 You can see responses to this therapy  
16 without fever, without cytokine-release syndrome,  
17 but 80 to 90 percent of the patients experience  
18 some degree of cytokine-release syndrome. And that  
19 can go from fever and muscle aches to very  
20 significant clinical instability.

21 The thing that we learned that was the most  
22 prognostic for this was disease burden. So the

1 T cells can get on top of really significant  
2 leukemia burdens that require significant T-cell  
3 proliferation, significant cytokine release, and  
4 that causes the cytokine-release syndrome.

5 Also, patients with high disease burden tend  
6 to get sick faster than patients who are febrile  
7 and then have hypotension within a day or two are  
8 typically the patients who have the tougher time  
9 with CRS. We learned how to grade this. We  
10 learned how to treat this. And IL-6 blockade was  
11 really the key.

12 Now, neurologic events are really an issue  
13 here. This trial did not see significant  
14 neurologic events, but unfortunately, there was  
15 another trial that was halted because of several  
16 instances of cerebral edema in these patients.

17 So we've looked at the neurologic events  
18 very carefully. And what I would say from my  
19 perspective is we didn't see any grade 4 events,  
20 but what we see are essentially three things. We  
21 see seizures in very small numbers of patients. We  
22 see delirium in patients who have high spiking

1 fevers, which are quite characteristic of  
2 cytokine-release syndrome and are due to the fever,  
3 due to the CRS, and also due to the medications  
4 that the patients are getting during that time.  
5 And then we see a very characteristic  
6 encephalopathy that's characterized by an awake  
7 patient who isn't speaking. That lasts for two or  
8 three days. It resolves typically over another few  
9 days, and has resolved entirely without any therapy  
10 in all the patients that we've seen. And that is  
11 really the characteristic encephalopathy that we  
12 see in these patients.

13 So we just manage these patients with  
14 supportive care. We have not treated the  
15 encephalopathy, and in the very small number of  
16 patients with seizures, we've treated with  
17 appropriate anti-seizure medications.

18 Now, neutropenia and infections, these are  
19 ALL patients with very extensive prior therapy that  
20 we're giving chemotherapy to. All the centers that  
21 treat patients like this are very comfortable  
22 treating patients with febrile neutropenia. They

1 have their own protocols, and they do a great job  
2 with this. This management is, I think, very well  
3 laid out in everyone's care pathways.

4           There are patients who have prolonged  
5 neutropenia, which is past day 28, which is more  
6 than you would expect from the chemotherapy, and is  
7 some mixture of prolonged prior neutropenia in  
8 these patients, and the chemotherapy that we have  
9 given them for lymphodepletion, and some  
10 inflammatory side effect of the CTL019 cells.

11           In those patients, you have to continue to  
12 monitor them appropriately for a febrile  
13 neutropenia leukemia patient to make sure that  
14 there aren't infections, and we do see infections  
15 in some of these patients.

16           We also see B-cell aplasia that requires  
17 immunoglobulin replacement. And with adequate  
18 immunoglobulin replacement, we have not seen  
19 infections in these patients.

20           So I want to give you a patient example.  
21 This is a 12-year-old young lady who was initially  
22 diagnosed at age 7 with high-risk ALL. She had a

1 white count of 68,000, went promptly into  
2 remission, received typical ALL therapy.

3 Three months after completion of her  
4 therapy, so a relatively late relapse, she had a  
5 relapse. She went back into remission with  
6 retrieval chemotherapy, went to unrelated donor  
7 transplant, had mild graft-versus-host disease. So  
8 from a transplanter's perspective, that is the best  
9 possible scenario.

10 Two years after her transplant,  
11 unfortunately, she relapses again, and now we see  
12 the problem. This is a young lady we could not get  
13 back into -- we weren't treating her at that time,  
14 but her physicians were not able to get back into  
15 remission, no response to reinduction with multiple  
16 regimens.

17 This patient was enrolled with active  
18 disease and infused with CTL019, had 20 percent ALL  
19 on enrollment marrow, so refractory disease at that  
20 point, went into an MRD-negative CR, day 28,  
21 excellent CTL019 proliferation, remains in  
22 remission, remains in B-cell aplasia.

1           I think the striking aspect of this is that  
2 these patients, when they're left alone and are not  
3 requiring further therapy, get back to their  
4 function very quickly. They're going back to  
5 school, they're getting back to their lives because  
6 these are kids, and they typically can recover very  
7 quickly if we just could leave them alone. And  
8 we're able to in many of these cases.

9           So from my perspective, I think we've hit  
10 over and over again the urgent need for higher  
11 rates of durable remissions in these patients.  
12 These patients have refractory disease. They've  
13 been treated over and over again. They are  
14 developing comorbidities, and we don't have other  
15 treatment options. We've shown you that we have  
16 high remission rates with CTL019, deep remissions  
17 because they're MRD negative.

18           As a transplanter, you get this patient into  
19 an MRD-negative remission, transplant now is an  
20 option for this patient. But in many of these  
21 patients, we actually have foregone transplant in  
22 an attempt to use CTL019 with full discussion with

1 the family and the referring physicians as  
2 definitive therapy in a number of these patients.

3 I think that prospect is extremely exciting,  
4 and it's really because of the durability of both  
5 remissions and of the cell persistence in these  
6 patients. I think we understand the adverse  
7 events. We've treated so many patients at this  
8 point that we have a pretty good understanding. We  
9 see rapid returns to normal quality of life.

10 So from my perspective, I think this really  
11 does involve an important treatment option for  
12 pediatric and young adult patients with  
13 relapsed/refractory B-cell ALL. And with that,  
14 I'll turn it back over to Dr. Lebowhl.

15 **Applicant Presentation - David Lebowhl**

16 DR. LEBWOHL: Thank you, Dr. Grupp.

17 Now I'd like to provide a few closing  
18 thoughts on behalf of our team. In the trials  
19 we've shared today, we have demonstrated a  
20 consistent high rate of remission, and we've shown  
21 that these remissions are durable.

22 Here, you see the data from B2101J extending

1 beyond three years. For the duration of remission,  
2 the median has not yet been reached. When we  
3 overlay the duration of remission from B2202 and  
4 B2205J, we see the duration of these trials looks  
5 very similar to B2101J so far.

6 I've been working in oncology drug  
7 development for more than 20 years, and I've never  
8 seen anything like this. It's truly a paradigm  
9 shift in a setting with an enormous medical need.  
10 Across our program, we've shown that CTL019 therapy  
11 results in a high rate of remission, which is  
12 durable. There is also a prolonged overall  
13 survival relative to currently available therapies.

14 The safety profile of CTL019 is well  
15 characterized and generally manageable with  
16 appropriate site training and some patients  
17 requiring ICU care. And Novartis is committed to  
18 comprehensive pharmacovigilance and risk management  
19 to ensure safe use.

20 CTL019 has the potential to be definitive  
21 therapy. It has shown prolonged remissions and  
22 improved quality of life in pediatric and young

1 adult patients with relapsed/refractory B-cell ALL  
2 with many patients not requiring further therapy.

3 on these data, we conclude that CTL019  
4 offers a positive benefit-risk profile. Moreover,  
5 it represents a new hope for patients. Thank you,  
6 and we will be pleased to answer your questions.

7 DR. ROTH: Thank you, Dr. Lebwohl.

8 We'll proceed now with the FDA's  
9 presentation, and we'll start with Dr. O'Leary.

10 **FDA Presentation - Maura O'Leary**

11 DR. O'LEARY: Good afternoon. My name is  
12 Maura O'Leary, and I am the clinical reviewer for  
13 this BLA. The proposed indication for  
14 tisagenlecleucel is the treatment of pediatric and  
15 young adult patients 3 to 25 years of age with  
16 relapsed/refractory B-cell acute lymphoblastic  
17 leukemia or ALL.

18 Novartis has discussed the disease  
19 background, current therapies, and key aspects of  
20 the study design. My presentation will introduce  
21 the issues for discussion by the committee and  
22 limit the discussion of the study design to the

1 risk mitigation measures, efficacy and safety  
2 results, and the proposed pharmacovigilance study.

3 FDA seeks the opinion of the committee with  
4 regard to potential postmarketing considerations to  
5 mitigate short-term risks from cytokine-release  
6 syndrome and neurotoxicities, as well as the  
7 committee's opinion regarding long-term follow-up.  
8 We are also asking the committee to discuss and  
9 vote on the overall benefit-risk profile in  
10 patients with relapsed or refractory B-cell  
11 precursor ALL.

12 CAR therapies are associated with life-  
13 threatening toxicities such as cytokine-release  
14 syndrome. To minimize risk to subjects, Novartis  
15 implemented broad risk mitigation measures during  
16 study B2202, including a stipulation that subjects  
17 meet safety criteria prior to tisagenlecleucel  
18 infusion. A novel CRS grading system to address  
19 severity of toxicities was implemented.

20 The cytokine-release syndrome treatment  
21 algorithm was complex, requiring a sequential and  
22 timed approach to administration of rescue

1 treatments, supportive care measures, and ICU  
2 monitoring. To implement these risk mitigation  
3 measures, clinical study sites were selected and  
4 trained. These sites were then closely monitored  
5 to ensure that protocol-specified measures were  
6 followed and that safety and reporting was timely.

7           Prior to the infusion of tisagenlecleucel,  
8 subjects were required to meet protocol-specified  
9 safety criteria. These criteria included a  
10 negative test for influenza, adequate pulmonary and  
11 cardiac function, no active infections, and  
12 ensuring that tocilizumab, an anti-interleukin 6  
13 receptor inhibitor, was available on site prior to  
14 the infusion. There is evidence that tocilizumab  
15 may ameliorate cytokine-release syndrome.

16           Tertiary care centers with multi-  
17 disciplinary teams and appropriate supportive care  
18 facilities were selected as clinical study sites.  
19 These were primarily pediatric transplant centers.  
20 Expertise with thawing and infusion of cellular  
21 therapy products was required.

22           Clinical sites were required to have

1       tocilizumab on site prior to the infusion and  
2       administer treatment for cytokine-release syndrome  
3       based on the cytokine-release syndrome treatment  
4       algorithm.

5               Subjects and their families were educated  
6       about the early signs of short-term toxicities such  
7       as CRS and were required to stay close to the  
8       treatment site for 3 to 4 weeks after the infusion.  
9       Clinical sites were prepared to triage and  
10       hospitalize subjects rapidly.

11              Long-term follow-up for adverse events will  
12       be performed for up to 5 years after  
13       tisagenlecleucel administration under the study  
14       B2202. Monitoring for an additional 10 years will  
15       be under the study A2205B.

16              The primary objective is to monitor for  
17       potential risks or events specific to this product  
18       such as replication-competent retrovirus, or RCR,  
19       and the development of new malignancies.

20              Long-term safety monitoring may be performed  
21       at the investigational site or at remote sites  
22       between 2 and 5 years after tisagenlecleucel

1       infusion. Annual visits for physical exams and RCR  
2       are planned for B2202 subjects with visits every  
3       6 months for transgene persistence. However, once  
4       2 consecutive samples are negative for RCR or  
5       persistence, samples will be collected and stored.

6               For the next 10 years, annual monitoring or  
7       archiving of samples for RCR and transgene  
8       persistence will be performed. Survival status  
9       will be collected every 6 months.

10              Novartis has adequately described the study  
11       baseline characteristics and subject disposition,  
12       but I would like to reiterate the efficacy results.  
13       Sixty-eight subjects were infused with  
14       tisagenlecleucel as of the date of cutoff.

15              For the purposes of this BLA, the primary  
16       efficacy population consisted of 63 subjects for  
17       whom the tisagenlecleucel was produced at the U.S.  
18       site. The overall remission rate, which included  
19       complete remission and complete remission within  
20       complete hematologic recovery, is 82.5 percent.  
21       All of these remissions were minimal residual  
22       disease negative.

1           This graph illustrates the duration of  
2 response for the 52 responders. Each green line  
3 represents a subject with a complete remission.  
4 Each orange-yellow line represents a patient with a  
5 complete remission with incomplete hematologic  
6 recovery. The X-axis provides the months since the  
7 initial response. Median follow-up was 4.8 months,  
8 and the median duration of response has not been  
9 reached.

10           The graph shows that responders maintain  
11 their remissions; 29 of 52 responding subjects were  
12 still in remission at the time of the last  
13 assessment prior to the date of cutoff for efficacy  
14 without additional therapy.

15           Twenty-three subjects were censored. The  
16 red squares represent 5 subjects censored for new  
17 cancer therapy. The black diamonds represent  
18 11 subjects censored for relapse. The blue squares  
19 represent 6 subjects censored for stem cell  
20 transplant, and the clear square represents  
21 1 subject censored for inadequate assessments after  
22 documented response.

1 I will now review the safety results for  
2 B2202. In particular, I will focus on deaths on  
3 study, cytokine-release syndrome, neurotoxicity,  
4 additional adverse events of special interest, and  
5 pharmacovigilance.

6 The deaths that occurred prior to infusion  
7 were mainly related to disease progression,  
8 6 subjects. However, infections were also a major  
9 cause of death. Treatment-related deaths that  
10 occurred post-infusion were related to disease  
11 progression in 7 subjects, infections in  
12 3 subjects, and 1 death from intracranial  
13 hemorrhage, secondary to coagulopathy with  
14 resolving cytokine-release syndrome.

15 Overall, 78 percent of the subjects  
16 experienced cytokine-release syndrome. The median  
17 time to onset was 3 days, and it lasted for a  
18 median of 8 days. The median time to grade 3 or 4  
19 cytokine-release syndrome was 6 days, with a peak  
20 incidence of all grades of cytokine-release  
21 syndrome on day 7.

22 Cytokine release syndrome severity was

1 associated with high tumor burden, which was  
2 defined as 50 percent of bone marrow blasts at the  
3 time of screening.

4 Neurotoxicity was characterized by one or  
5 more symptoms of encephalopathy seizures, depressed  
6 level of consciousness, difficulty swallowing,  
7 muscular weakness, or aphasia; 30 patients or 44  
8 percent of the subjects experienced neurotoxicity;  
9 10 of the patients or 15 percent of the subjects  
10 experienced grade 3 neurotoxicity.

11 A majority of the severe that is grade 3  
12 neurotoxic events were associated with grade 3 to 4  
13 cytokine-release syndrome events. There was no  
14 grade 4 neurotoxicity. There was 1 grade 5  
15 intracranial hemorrhage due to coagulopathy.  
16 Neurotoxicity was reversible, but required close  
17 monitoring.

18 Sixty-eight subjects have been treated with  
19 tisagenlecleucel; 53 subjects developed cytokine-  
20 release syndrome with 32 as grade 3 or grade 4.  
21 Tocilizumab, an IL-6 receptor blocker, may  
22 ameliorate the cytokine-release syndrome response.

1           One subject with grade 2 was treated; 7 of  
2           14 subjects with grade 3 were treated; and 18 of 18  
3           with grade 4 were treated; 14 subjects who were  
4           treated with tocilizumab also received  
5           corticosteroids and 5 subjects received siltuximab.

6           This slide shows the extent of supportive  
7           care required for the subjects who experienced  
8           cytokine-release syndrome or severe neurotoxicity.  
9           Thirty-one subjects required ICU admissions with a  
10          mean duration of 11 days. This included treatment  
11          for fever, hypotension, and other complications.

12          Twenty-seven subjects had grade 3 to 4  
13          infections requiring broad spectrum antibiotics for  
14          bacterial, viral, and fungal infections; 10  
15          subjects required assisted ventilation; 7 subjects  
16          were dialyzed for a mean time of 11 days.

17          In addition, subjects were placed on seizure  
18          prophylaxis for potential neurologic events, and  
19          measures were taken to maintain airways in patients  
20          who were encephalopathic or obtunded.

21          Additional adverse events of special  
22          interest included febrile neutropenias, prolonged

1       cytopenias, and infectious complications, which  
2       included viral reactivation and opportunistic  
3       infections. These events resolved over 3 to  
4       6 months.

5               In this heavily pre-treated population,  
6       there is a pre-disposition towards cardiac  
7       toxicity, particularly congestive heart failure.  
8       Although a normal echocardiogram was required for  
9       enrollment, there were 4 episodes of congestive  
10      heart failure, 3 of which were severe. Although  
11      reversible, treatment for congestive heart failure  
12      has continued in some of these patients post-  
13      discharge. Hemophagocytic lymphohistiocytosis  
14      occurred in 3 subjects, usually concurrent with  
15      their cytokine-release syndrome.

16              Tisagenlecleucel also has the potential to  
17      destroy normal B cells, and therefore can cause  
18      hypogammaglobulinemia and require replacement  
19      therapy with intravenous immunoglobulin.

20              Coagulopathy, particularly  
21      hypofibrinogenemia, was also associated with  
22      cytokine-release syndrome and solely resolves after

1 the cytokine-release syndrome has improved.  
2 Coagulopathies with the low fibrinogen, with  
3 prolonged cytopenia increases the risks of life-  
4 threatening bleeding such as intracranial  
5 hemorrhage.

6 On this slide, we review the long-term  
7 safety results. The median overall survival has  
8 not been reached. The median follow-up time for  
9 survival for B2202 is 6.9 months. There were no  
10 events related to the generation of replication-  
11 competent retrovirus, RCR. Persistence of the CD19  
12 transgene was observed up to 366 days in subjects  
13 who experienced overall remission on B2202.

14 While therapeutically this may be  
15 advantageous, it also requires intravenous  
16 immunoglobulin supplementation for  
17 hypogammaglobulinemia due to the decrease in normal  
18 B cells. In addition, there is potential for  
19 malignant transformation.

20 In summary, the most notable adverse events  
21 related to tisagenlecleucel were severe cytokine-  
22 release syndrome, neurotoxicity, and prolonged

1       cytopenias with infectious complications. Deaths  
2       were related to progressive disease and infectious  
3       complications.

4               There were no fatal cytokine-release  
5       syndrome or neurotoxic events. However, there were  
6       serious cytokine-release syndrome events in  
7       47 percent of the subjects and serious  
8       neurotoxicity in 15 percent of the subjects.

9               There is a potential long-term risk from  
10       replication-competent retrovirus and insertional  
11       mutagenesis with result in secondary malignancies.  
12       Transgene persistence has been documented for up to  
13       a year.

14               Novartis has proposed a pharmacovigilance  
15       plan with two components, a postmarketing  
16       observational registry study that is intended to  
17       evaluate the short-term and long-term risks of  
18       treatment with tisagenlecleucel. This includes  
19       standard-of-care follow-up for the known toxicities  
20       of tisagenlecleucel therapy and B cell precursor  
21       ALL. Active surveillance is not planned for CD19  
22       transgene persistence or RCR.

1           Adverse events such as serious opportunistic  
2     infections, neurologic, hematological,  
3     hypogammaglobulinemia, rheumatologic disorders, and  
4     other unexpected delayed adverse events from  
5     treatment of childhood leukemia such as disorders  
6     of cognition, growth, and reproduction will be  
7     documented per standard of care. If a second  
8     malignancy does occur, Novartis will attempt to  
9     obtain tissue for evaluation.

10           Novartis has in addition proposed a risk  
11    mitigation plan specific for treatment sites that  
12    is focused on training for healthcare providers,  
13    education for patients, and essential services that  
14    are needed.

15           This is a brief outline of the proposed  
16    prospective observational postmarketing study.  
17    Monitoring would include standard-of-care exams and  
18    laboratory assessments of ALL and follow-up for the  
19    acute toxicities of tisagenlecleucel.

20           Study endpoints include adverse events,  
21    adverse events of special interest, growth and  
22    development outcomes, reproduction and pregnancy

1 outcomes, and disease outcomes such as overall  
2 remission rate and overall survival. The study  
3 includes monitoring for long-term follow-up for up  
4 to 15 years after the administration of  
5 tisagenlecleucel.

6 We ask the committee to consider risk  
7 mitigation measures to address the short-term and  
8 long-term toxicities of tisagenlecleucel. Please  
9 consider whether the risk mitigation procedures  
10 that were included in study B2202 were helpful in  
11 protecting the safety of the subjects and whether  
12 similar procedures would be useful if this product  
13 were approved for marketing; treatment sites for  
14 tertiary care, pediatric transplant centers with  
15 expertise in cell therapy.

16 Training of sites included adequate  
17 preparation and pre-infusion. For example,  
18 tocilizumab was on site; reassessment of clinical  
19 status after lymphodepletion as well as training on  
20 the recognition of treatment of cytokine-release  
21 syndrome and neurotoxicities.

22 In summary, the primary efficacy results

1 were an overall remission rate of 82.5 percent, all  
2 of which were MRD negative. Study B202 required  
3 site training, cytokine-release syndrome treatment  
4 per an algorithm, and site monitoring.

5 The safety issues that the FDA seeks the  
6 opinion from the committee relate to short-term  
7 risks of serious adverse reactions, namely  
8 cytokine-release syndrome and neurotoxicity.  
9 Although reversible, these reactions require early  
10 recognition, a timed and sequential use of  
11 medications, and supportive care measures to  
12 prevent these life-threatening events from  
13 progressing.

14 Patient or caregiver understanding of the  
15 risks are necessary to facilitate early  
16 intervention and the long-term adverse reactions  
17 related to the potential risks of secondary  
18 malignancies from RCR and insertional mutagenesis.  
19 The FDA seeks the committee's recommendation  
20 regarding the duration and types of postmarketing  
21 monitoring if tisagenlecleucel is approved.

22 I'll now go over the discussion questions.

1 These are the specific questions we are asking the  
2 committee to address, discussion question 3.

3 Please discuss risk mitigation measures for the  
4 serious risks of cytokine-release syndrome and  
5 neurotoxicity with tisagenlecleucel.

6 Discussion question 4. For the  
7 tisagenlecleucel IND studies, the FDA requires  
8 15 years of follow-up to monitor for subsequent  
9 malignant transformation. Given the possibility of  
10 the generation of replication-competent retrovirus  
11 and insertional mutagenesis, please discuss the  
12 duration of follow-up and the type of assessments  
13 that you would recommend for patients who receive  
14 marketed tisagenlecleucel.

15 Lastly, question 5 is the voting question.  
16 Considering the efficacy and safety results of  
17 study B2202, is the benefit-risk profile of  
18 tisagenlecleucel favorable for the treatment of  
19 pediatric and young adult patients age 3 to  
20 25 years with relapsed, second or later relapse, or  
21 refractory, failed to achieve initial remission  
22 after two induction attempts, B-cell precursor

1 acute lymphoblastic leukemia.

2 I appreciate your attention and look forward  
3 to the committee's discussion of the issues. Thank  
4 you all, to all of my FDA colleagues for their help  
5 in the review of this BLA, and I will now turn the  
6 discussion over to Dr. Roth.

7 **Clarifying Questions to the Presenters**

8 DR. ROTH: Thank you, Dr. O'Leary.

9 We'll now proceed with clarifying questions  
10 both for the applicant and the agency. Please, if  
11 you have something to say, please show Jen, and  
12 we'll try to go through these sequentially. And  
13 again, state your name for the record when you ask  
14 a question.

15 So let me start off with one. I want to  
16 talk about the neurotoxicity. I got a little bit  
17 of mixed messages. I think Dr. Grupp referred to  
18 some cases of cerebral edema, and I think  
19 Dr. Lebwohl said that there weren't any. So were  
20 there some?

21 DR. LEBWOHL: There were no cases of  
22 cerebral edema with CTL019. What was coming up and

1 mentioned often is that there are other cases with  
2 other vectors that are occurring, not with the  
3 CTL019 program. So that's why the question came  
4 up.

5 DR. ROTH: So let me ask you whether you  
6 have a different mechanism of the toxicity for  
7 neurotoxicity other than CRS. And if not, if we  
8 think it's a component, I was wondering why the  
9 recommendations for intervention are more  
10 conservative in terms of holding off on anti-IL-6  
11 or steroid intervention.

12 To me, a grade 3 CNS toxicity is scarier  
13 than fever. So I was just wondering if you thought  
14 there was a different mechanism of action that  
15 didn't warrant, or if you have evidence that  
16 intervention with tocilizumab or something else is  
17 not as effective in abrogating that toxicity.

18 DR. LEBWOHL: The mechanism isn't understood  
19 for the neurologic events. They are sometimes  
20 associated with cytokine-release syndrome, but  
21 they're not always associated, and there hasn't  
22 been good evidence that tocilizumab reverses that.

1 I'll ask Dr. Grupp for his clinical  
2 perspective on this.

3 DR. GRUPP: Yes. I completely agree that  
4 one of the transformational things in being able to  
5 treat patients with cell therapy is our  
6 understanding of the IL-6 pathway's importance to  
7 cytokine-release syndrome.

8 If you look across the different products  
9 that are in clinical development right now, I think  
10 that we all see about the same thing with CRS, but  
11 we see stuff that's very different from a  
12 neurotoxicity standpoint, and I think,  
13 mechanistically, that's very hard to sort out.

14 The fact that many patients, what we  
15 observed to be encephalopathy, get that with  
16 grade 3 or 4 cytokine-release syndrome mean they've  
17 already gotten tocilizumab, but it didn't prevent  
18 the encephalopathy. Now, that's hard to analyze  
19 prospectively, but it gives you the sense that we  
20 don't prevent this sort of very reversible but  
21 definitely notable neurotoxicity with tocilizumab.

22 So I would say, when I stated that most of

1 these patients had had not received therapy, it was  
2 because they didn't seem to require therapy and  
3 because it wasn't obvious what to do. You could  
4 certainly treat these patients with steroids, but  
5 that's entirely speculative because we don't have a  
6 lot of experience with that.

7 So I think from a mechanistic standpoint,  
8 there's a lot to understand. And then I think that  
9 one of the issues in terms of understanding  
10 mechanisms is that at this point in time, although  
11 you need a lot more patients to really understand  
12 this, there seems to be a sense that CAR T-cell  
13 products with a CD28 co-stimulatory domain may be  
14 more at risk for the more significant types of  
15 neurotoxicity, including cerebral edema than we've  
16 seen with a 4-1BB CAR. But again, I think that  
17 there is a lot to learn in this particular area.

18 DR. ROTH: Thank you. Dr. Bollard?

19 DR. BOLLARD: Sorry for all the questions.  
20 So just some questions regarding the first  
21 presentation. Can I just clarify firstly that the  
22 lymphodepleting regimen that you're using for B2202

1 is standard for all?

2 DR. LEBWOHL: It's standard in B2202 for all  
3 patients, yes.

4 DR. BOLLARD: With that protocol, okay.

5 DR. LEBWOHL: They would receive fludarabine  
6 and Cytosan, yes.

7 DR. BOLLARD: So then for your eligibility  
8 criteria for that protocol, you say you have to be  
9 greater than 5 percent bone marrow lymphoblasts to  
10 be eligible. So what time point is that done? Is  
11 that done pre-lymphodepletion to chemo? Is it done  
12 post pre-bridging chemo? Is that done pre-CTL019?

13 DR. LEBWOHL: Yes. So that's done at  
14 screening and enrollment, so before additional  
15 therapy is given. So we did not obtain bone marrow  
16 after the bridging therapy or lymphodepleting  
17 therapy.

18 DR. BOLLARD: So you don't know immediately  
19 prior to T-cell infusion, correct?

20 DR. LEBWOHL: That's correct.

21 DR. BOLLARD: Right. My next question is  
22 related to slide CE-29. In other studies that have

1       come out of U Penn, they have shown non-responders;  
2       you don't see an expansion of transgene-modified  
3       T cells. But it's interesting to me on this graph  
4       that your three non-responders have dramatic  
5       expansion of the transduced cells and the blood.

6               How do you explain that?

7               DR. LEBWOHL: That's correct. We do see  
8       expansion. I'll have Dr. Thudium discuss this.

9               DR. THUDIUM: As you pointed out -- I'm  
10      Karen Thudium, Novartis clinical pharmacology.  
11      What I want to show you here today is data coming  
12      from all three trials, and this is showing the  
13      persistence, represented by the black line, in the  
14      responding patients. The non-responding patients  
15      are presented in the red lines.

16              What you can see is that there's a limited  
17      expansion in the non-responding patients, and you  
18      see the long-term persistence in the responding  
19      patients.

20              DR. BOLLARD: But are these patients  
21      relapsing at the time that you're finishing their  
22      monitoring?

1 DR. THUDIUM: That is correct. So if a  
2 patient is relapsing or if they're coming off the  
3 trial, there wouldn't be any additional samples  
4 measured. So essentially, what you see here is the  
5 last measurable time point that we have for a  
6 patient. It's conceivable that patients may still  
7 have transgene present. There wouldn't be samples  
8 collected.

9 DR. BOLLARD: I'm asking because some of  
10 these are pretty high, 10,000, 1,000 and above.  
11 And I go back to that concern, theoretical and  
12 maybe not theoretical concern that 4-1BB drives  
13 B-cell proliferation.

14 So do you know in all those patients that  
15 you didn't have transgene in the leukemia that  
16 they're relapsing with?

17 DR. LEBWOHL: What we know in these  
18 patients, if you're looking at the red curves, is  
19 these are patients who never responded. These are  
20 non-responders. So the blasts that are there are  
21 the blasts that were present from the start.

22 Dr. Grupp?

1 DR. BOLLARD: Can I just ask for your last  
2 slide, CC-3? You have got event-free survival,  
3 very impressive, 60 percent. Did you count LOBMT  
4 or getting other therapy as an event? Because I  
5 note from Dr. O'Leary's presentation that there  
6 actually was 10 out of your 40 CRs that got other  
7 therapy, including transplant.

8 DR. LEBWOHL: Yes. Per the standard  
9 conventions for FDA submissions, patients who  
10 receive new therapy are censored.

11 DR. BOLLARD: Okay. Thanks.

12 DR. LEBWOHL: Dr. Grupp would like to  
13 comment also on the B-cell question.

14 DR. GRUPP: Yes, because this has come up  
15 several times. There was one reported event, which  
16 has not been published yet but has been reported in  
17 meetings, where we had a patient who did indeed  
18 have the scenario that you're talking about, where  
19 they had a B-cell leukemia that had expression of  
20 the transgene.

21 In that particular case -- and it's the only  
22 case across all of the clinical programs that we've

1       seen -- that patient had a CD19-negative ALL cell  
2       collected. The CD19-positive ALL cells are  
3       collected as well, but are destroyed in the bag by  
4       the CAR T cells. But in those patients who do  
5       relapse, the most common mechanism of relapse is a  
6       CD19-negative leukemia.

7               That's what happened in that patient. That  
8       cell was indeed transduced, but that patient's  
9       transduced cell was his original leukemia. So any  
10      growth advantage that might have been conferred by  
11      the 4-1BB domain from the CAR in that particular  
12      cell was in a leukemia cell. That leukemia cell  
13      was already transformed. So I don't think the  
14      patient relapsed from that.

15             In the other CD19-negative leukemia, as  
16      we've seen, we have not seen CAR transgene clonally  
17      expressed on the surface of these cells, so that,  
18      as far as we know, is a singular event.

19             DR. BOLLARD: But I guess my question is, if  
20      you're not looking in all these patients, it would  
21      only take theoretically one cell to be -- it just  
22      goes back to my question about product purity.

1 DR. GRUPP: To answer that question, we did  
2 within the Penn program look across all the  
3 patients that we've treated and did look for other  
4 instances of B-cell recurrence with CAR expression,  
5 and that was the only case where we saw that across  
6 the leukemia cells.

7 You could find in some of the products very  
8 small numbers of transduced cells, but it wasn't  
9 what they were actually relapsed with. So I think  
10 across a fairly large number, although not across  
11 all of the programs, we didn't see that happen but  
12 once.

13 So there's no question that CD19-negative  
14 relapse is the issue that we do have to deal with.  
15 If these patients have CD19-negative ALL, it's not  
16 addressed by the CAR T cells. And so anywhere from  
17 two-thirds to 90 percent of our relapses are CD19  
18 negative.

19 DR. ROTH: Dr. Gulley?

20 DR. GULLEY: Just a quick question about the  
21 impact of the steroids on the CAR T cells. So with  
22 T cells, steroids may be able to knock them down,

1 especially if they're naïve, but memory cells are  
2 perhaps more spared and effector cells are perhaps  
3 more spared.

4 What is known with the CAR T-cell product?

5 DR. LEBWOHL: We have looked at the effect  
6 of steroids, and Dr. Thudium will show that.

7 DR. THUDIUM: Karen Thudium, Novartis  
8 clinical pharmacology. So we have assessed the  
9 impact of tocilizumab on the expansion and  
10 persistence, and in general, we do not see a major  
11 impact. Essentially, the cells continue to expand  
12 and persist following administration of  
13 tocilizumab.

14 I'll show you here a slide that presents the  
15 transgene profile. It's indicated here by the  
16 different doses of tocilizumab that were  
17 administered. And you can see the blue line is  
18 representing patients that receive 1 dose of  
19 tocilizumab, and the red line is representing  
20 greater than 1 dose.

21 You can see that the lines are very similar  
22 across the expansion and persistence. So in

1 summary, we do not believe that tocilizumab has an  
2 impact on the expansion or persistence.

3 With respect to your question on the  
4 steroids, I want to highlight that -- and David can  
5 probably comment on this. But the steroids are  
6 given in small doses for short duration, and we did  
7 assess the impact on expansion and did not see any  
8 impact.

9 DR. ROTH: Dr. Smith?

10 DR. SMITH: Yes, questions in several areas.  
11 First related to the neurologic adverse events,  
12 there was a comment that resolution of symptoms  
13 occurs over weeks and can lag behind CRS recovery.  
14 I wonder if you can say more about what some of  
15 these slower evolving symptoms are, if there are  
16 any correlates, imaging correlates for example, of  
17 these slow-resolving symptoms, and if there's any  
18 evidence that these may be in fact persistent long  
19 term.

20 DR. LEBWOHL: We have seen no effect on  
21 imaging in terms of these effects, but I'll ask  
22 Dr. Grupp to comment on the persistent neurotoxic

1 events.

2 DR. GRUPP: I think looking across the broad  
3 experience, which includes the CHOP experience,  
4 where we have more follow-up in these patients, it  
5 lags behind CRS because often these patients  
6 actually experience the neurotoxicity when the CRS  
7 is over, which is to say their fever is gone is and  
8 they're no longer hypotensive if they were.

9 The typical time to completion of this, a  
10 resolution, rather, of this is, a week or so.  
11 There are a small handful of patients who had more  
12 prolonged neurotoxicity, and it has resolved in all  
13 of these patients. So I am not aware of a case  
14 where we have persistent neurologic deficits that  
15 have gone beyond -- that exist at this point in  
16 time.

17 DR. SMITH: What are those kind of things  
18 that persist?

19 DR. GRUPP: I can think of one illustrative  
20 case of a patient who had fairly significant  
21 neurotoxicity, word-finding difficulties, not  
22 speaking actually for several days. And that took

1 several weeks before that patient was actually  
2 speaking clearly and back to what his parents  
3 regarded as his neurologic baseline. So I think  
4 that would be fairly typical.

5 So it's often word finding and higher  
6 executive function. These patients have not been  
7 very carefully characterized by neurocognitive  
8 testing, so you could probably say something a lot  
9 more sophisticated than that, but this is what we  
10 observed clinically.

11 DR. SMITH: Relating to the B-cell aplasia,  
12 you provided data about the T last, and the range,  
13 in that. And does that T last have any  
14 relationship to B-cell recovery? Is there B-cell  
15 recovery or is B-cell aplasia the expectation?

16 DR. LEBWOHL: We do expect that there will  
17 be recovery, and we can show the recovery, let's  
18 say the time to recovery of B cells.

19 Don't we have a Kaplan-Meier for the  
20 recovery, shown here? So this is pooling data from  
21 both B2202 and B2205J. And what you see over a  
22 period of about a year is about a 30 percent

1 recovery of B cells in patients.

2 DR. SMITH: Is there any more you can say  
3 about the expectation, full recovery?

4 DR. LEBWOHL: No. In the very long term, we  
5 don't have the data over a very long term.

6 DR. SMITH: Related to the overall outcome,  
7 can you show us the EFS and overall survival curves  
8 for the enrolled patient population?

9 DR. LEBWOHL: Yes. Pull up EFS first,  
10 please. And that's shown here. So this is now  
11 88 patients included. And as you see, there's  
12 a -- it's not there yet. We'll for that.

13 So this is a Kaplan-Meier curve of the  
14 event-free survival, now including all 88 patients  
15 who enrolled and the patients of course who could  
16 not receive therapy are non-responders and  
17 immediately have events. But looking at the  
18 additional patients, the overall median for all  
19 these patients is 10 months.

20 Looking at survival for all patients, it's  
21 shown here; 67 percent are still surviving,  
22 including the entire population in 12 months. And

1 you'll recall that the median for the best  
2 therapies today are about 7 months, and 67 percent  
3 survival at 12 months.

4 DR. SMITH: Final question is, do you  
5 consider the supportive care measures in the  
6 pivotal study were optimally applied, or were they  
7 still evolving during the pivotal study so that  
8 maybe they would be better today than they were  
9 during the time of the pivotal study?

10 DR. LEBWOHL: We do think, at the time of  
11 the pivotal study, they were fairly set by that  
12 time. We had both the single-center study as well  
13 as the multicenter, the first non-pivotal  
14 multicenter study.

15 What we were very happy to see is that we  
16 were able to show safety similar to what was  
17 achieved in the single-center study, expanding to  
18 25 sites around the world. So we do believe that  
19 our training was quite effective in bringing that  
20 knowledge to many sites.

21 DR. ROTH: Dr. Nowakowski? Dr. Cole?

22 DR. COLE: Thank you for the presentations,

1 very interesting data. I was looking at overall  
2 survival, in fact, and the data shown for the study  
3 2202 -- I don't know. Maybe we could look at the  
4 slide CP-4. Do you have slide CP-4?

5 DR. LEBWOHL: Yes.

6 DR. COLE: Thank you. So I was looking at  
7 the overall survival comparison there. We saw it  
8 for 1 minute, 1 second.

9 (Laughter.)

10 DR. COLE: There we go. So for the 12-month  
11 survival, 79 percent in study B2202 and then for  
12 the phase 1-2 study that came out in 2016 with  
13 blinatumomab -- I confess I'm not good at  
14 pronouncing these names -- 40 percent. And I was  
15 wondering if you could comment on any difference in  
16 the risk profile amongst the patients in these two  
17 different groups.

18 Two things come to mind. One is that in  
19 that phase 1-2 study, there seemed to be a higher  
20 rate of patients with refractory disease. I'm not  
21 sure whether that's protective or actually a risk  
22 factor.

1           The other thing that comes to mind is that  
2 necessarily there's a waiting period in B2202. You  
3 have to pass through the manufacturing process,  
4 which you might lose high-risk patients as a result  
5 of that.

6           I think that this comparison is important,  
7 and I'm glad you showed it. I'm also really glad  
8 you showed the quality-of-life data. I think these  
9 are really important data points. But I'd like to  
10 just get some comments from you about any  
11 difference in risk profile and how comparable you  
12 really think those two numbers are.

13           DR. LEBWOHL: Thank you. First I'll point  
14 out that it is difficult to compare across trials.  
15 These are coming from different places. But I  
16 think the most important feature you see here is  
17 the number of prior regimens. So for blinatumomab,  
18 only 7 percent of the patients had 3 or more  
19 regimens. In our study, 60 percent of the patients  
20 had 3 or more regimens.

21           So by the measure of prior treatment and  
22 amount of lines of therapy, certainly our trial

1 actually has a more severe group of patients.

2 I'll also say, of course, by showing the  
3 survival for the entire enrolled set, we are  
4 getting rid of that issue of the waiting in that  
5 these patients -- this is taking in all patients  
6 who approach clinical sites and wanted to be  
7 enrolled.

8 I'm going to point out that this has the  
9 possibility of getting better, of course, because  
10 we won't have the waiting period, the same waiting  
11 period in the commercial setting. But even looking  
12 at that, a 7-month median survival versus, here,  
13 60 percent of the patients extending out beyond  
14 12 months is quite a big difference.

15 DR. ROTH: Dr. Cripe?

16 DR. CRIPE: Thank you. First, I want to  
17 congratulate Dr. Grupp and his team for identifying  
18 the IL-6 pathway, which was, especially in the  
19 first patient, a stroke of brilliance.

20 I have a couple questions about the relapse  
21 patients, though, and that is, going back to those,  
22 are there any sanctuary sites for CAR T cells?

1 That certainly was a problem with chemotherapy in  
2 early days.

3 DR. LEBWOHL: We have seen no relapses in  
4 the CNS, and I'll ask Dr. Grupp to discuss this  
5 further.

6 DR. GRUPP: Yes. I think that, aside from  
7 the CNS, which I can address, we don't have enough  
8 experience with other extramedullary sites to  
9 really have a sense. The few testicular patients  
10 that we had treated were definitively treated in  
11 their extramedullary site, and therefore, I don't  
12 think we have a sense of that.

13 But the CNS, we actually have data on.  
14 Again, this was an exclusion in the B2202, but we  
15 have treated a number of patients with CNS 2 and  
16 now actually CNS 3 disease. We actually have done  
17 an analysis of this. What we've shown is that  
18 there is a great deal of expression of the CAR  
19 T cells in the cerebral spinal fluid. More than 95  
20 percent of the patients have CAR T cells that are  
21 found in the CSF, so they get there.

22 We've seen initially patients with CNS 2A

1 disease on the day prior to T-cell infusion, where  
2 that has gone away. We've seen no CNS relapses, as  
3 Dr. Lebowhl mentioned. And then we've had a small  
4 number of patients, a handful of patients, that  
5 we've treated with overt CNS disease, including  
6 MRI findings clearly indicative of both leukemic  
7 meningitis and leukemic inflammation and brain  
8 parenchyma and have seen those resolve with, in  
9 some cases, two or three years of follow-up after a  
10 CTL019 infusion.

11 So it doesn't appear that the CNS  
12 specifically is a sanctuary site. Other sanctuary  
13 sites are hard to actually address.

14 DR. CRIPE: And the patients who don't have  
15 the antigen, who either didn't respond, so you  
16 still have CD19 or relapsed with CD19, is there any  
17 reason to think that another batch might be  
18 effective? Have you thought about that? Has  
19 anyone gotten 2 doses?

20 DR. GRUPP: We have had, again, B2202 with  
21 single infusion, so I want to be very clear about  
22 that. But we have had investigational experience

1 with re-infusion. Shannon Maude at our center has  
2 done an incredible amount of work in this area.

3 So just to give different clinical  
4 scenarios, I would say the bottom line of what I'm  
5 about to say is that the jury is fully out on  
6 whether this is helpful or not. So we have had  
7 patients who didn't have an initial response, who  
8 got another dose of the same batch of T cells. And  
9 the cells that didn't grow the first time didn't  
10 grow the second time, and we don't get a clinical  
11 response, that's only a handful of patients.

12 We have had patients who have recurred with  
13 CD19-positive overt relapse, and we've retreated  
14 them with the same cells, and we've had some  
15 patients respond and some patients not respond.

16 We have had patients who have recurred with  
17 CD19-positive disease and got an alternative CAR  
18 product with a different CD19 binding domain and  
19 have seen patients respond under those  
20 circumstances.

21 Then there's also been a group of patients  
22 who we believe that an optimal time to hang on to

1 your B-cell aplasia and your cell persistence is  
2 6 to 12 months, and this is very hard to measure.  
3 I mean, that's just an opinion.

4 So for the small handful of patients who  
5 don't have that kind of persistence, because the  
6 vast majority do, we've tested reinfusion to just  
7 maintain an area under the curve in a longer period  
8 of B-cell aplasia. And we've been able to  
9 re-establish B-cell aplasia in some of these  
10 patients, but it's very hard to tell whether that  
11 actually provided clinical benefit. And I think  
12 that's an area that requires very careful further  
13 study.

14 DR. CRIPE: In those who haven't responded  
15 at all, have you looked at PD-1 expression on the  
16 T cells, or PD-L1 on the leukemias, or other modes  
17 of Tregs, or MDSCs, or anything like that?

18 DR. GRUPP: Yes. We looked at a lot of  
19 things. The trouble is that some of the patients  
20 who didn't respond had checkpoint inhibitor  
21 expression, and some of them who did respond --  
22 it's not obviously -- what it really looks

1       like -- Cath had raised the question about qPCR  
2       expansion, but in a single-institution study, where  
3       we had access to flow immediately, you don't see  
4       the same proliferation by flow.

5                So I don't understand, as Cath doesn't, and  
6       I understand the lack of understanding about this,  
7       but by flow, if you don't see proliferation, you  
8       don't see response. And so that seems to be a  
9       T-cell-intrinsic problem, but that again is an  
10      analysis that we had better access to at a single-  
11      institution center because you can carry the  
12      samples right to the lab, which really does make a  
13      difference.

14               DR. CRIPE: Another question I have relates  
15      to eligibility. I notice your study had a number  
16      of different eligibility criteria like prior CD19  
17      therapy was an exclusion. Is there any reason to  
18      think that that should be maintained in the  
19      marketing setting or even some of the other  
20      criteria, pulmonary lung cardiac function, or is  
21      that going to be left to the discretion of the  
22      treating physician?

1 DR. LEBWOHL: What we believe is the most  
2 important thing is that the patients have CD19  
3 present. So if a patient has another therapy that  
4 eliminates their CD19, we think that would not be  
5 good. But for CD19-positive B-cell ALL, really a  
6 broad group of patients have a possibility of  
7 benefitting.

8 DR. ROTH: Dr. Rini?

9 DR. RINI: The same question about the CRS  
10 management algorithm that's on your slide CS-13, if  
11 you're able to pull it up. And it was really about  
12 the tocilizumab. And I'm wondering if there's any  
13 experience with using it even earlier almost as  
14 prophylaxis like we do for tumor lysis syndrome.

15 I'm not really giving that drug, so I don't  
16 know a lot about its inherent side effects, or  
17 cost, or things like that. But it just seems like  
18 all the experts have said that that's a critical  
19 pathway, it's a critical drug for managing. And  
20 I'm just wondering if there's any even  
21 institutional experience and/or program experience  
22 with even moving into prophylaxis, or looking at

1 timing of that in relation to degree of CRS, or  
2 something like that.

3 DR. LEBWOHL: It certainly is a very  
4 reasonable idea, and moreover, there is a single-  
5 center study going on with the early use of  
6 tocilizumab by Dr. Grupp. We're giving the early  
7 tocilizumab with the first sustained fever in  
8 patients who have a high tumor burden, so these are  
9 the patients at highest risk of CRS. So we will be  
10 following to see if that can reduce the rate of  
11 severe CRS.

12 DR. RINI: One quick follow-up. You had  
13 mentioned one of the proposed strategies is to  
14 ensure that patients and caregivers stay within  
15 2 hours of the center for 3 to 4 weeks after the  
16 infusion. How do you ensure that? How do you  
17 operationalize that to make sure?

18 DR. LEBWOHL: Yes. So this is a matter of  
19 training and education. We will be certifying the  
20 sites as mentioned. There will be a representative  
21 at the site who makes sure all the personnel at the  
22 site is trained. And part of that training is that

1 they will be instructing their patients that they  
2 do need to be close by for those 3 to 4 weeks.

3 In addition, patients will have tools.  
4 Patients will have wallet cards telling them what  
5 they should be doing, coming in for a fever, of  
6 course, but also staying near the site for that  
7 period of time.

8 DR. ROTH: If I could build on what Dr. Rini  
9 said, I think that's critically important  
10 information that both steroids and anti-IL-6  
11 therapy does not appear to blunt the proliferative  
12 response, if I'm quoting you correctly, because I  
13 think there is the perception by some treating  
14 physicians that I've spoken to that you want to  
15 hold off on those things so you don't negate the  
16 effect.

17 I think that sometimes could potentially be  
18 to the detriment of a patient, that you want to  
19 hold out, whereas I would argue for the same thing.  
20 If 90 percent of people are experiencing some CRS,  
21 why not intervene early if it's not affecting the  
22 clinical response in a negative way, as far as we

1 know to date.

2 DR. LEBWOHL: As far as we know, we don't.  
3 Of course, the challenge of looking at patients who  
4 get tocilizumab or don't, those are the patients  
5 with the greatest expansion, the patients receiving  
6 tocilizumab. So the effect on efficacy might be  
7 confounded by that.

8 DR. ROTH: Let me ask one more, to step  
9 back, a broader question. In the era of a living  
10 biologic, does the 30-day MRD-negative versus  
11 positive still have the same relevance in terms of  
12 prognostic value? Namely, if you have MRD  
13 positivity at 30 days, are those 19-negative cells,  
14 or is there a possibility with this living drug  
15 that that may be premature to see maximum effect?

16 DR. LEBWOHL: As you saw, we didn't have any  
17 examples of responders who are MRD positive in our  
18 pivotal trial, so we don't have much information on  
19 patients who are MRD positive at the end of  
20 treatment.

21 Dr. Grupp, do you have examples of that?

22 DR. GRUPP: I guess one answer to your

1 question is that patients who aren't in remission  
2 at day 30 won't be afterwards. So we don't have  
3 any real experience with patients who are evolving  
4 their way to a better response by day 30.

5 The issue of flow MRD, which is the United  
6 States standard, is interesting in a world where  
7 you're destroying all the CD19-positive cells. But  
8 the central labs that perform the  
9 MRD -- specifically the University of Washington  
10 does this for COG -- has learned how to read these.

11 So you do occasionally -- this gets very  
12 technical, but you do occasionally see patients who  
13 have what may be CD19-negative MRD, but it is just  
14 common lymphoid progenitors, the very earliest  
15 B cells, and that can go away because it was never  
16 leukemia in the first place.

17 If you're doing next-gen sequencing, you  
18 would miss that signal because of course you aren't  
19 doing it by flow. You're actually looking for the  
20 leukemic sequencing signature.

21 So the answer is, from a leukemia  
22 standpoint, if you truly are MRD positive at

1 day 30, that's a bad sign, whether it's CD19  
2 positive or CD19 negative.

3 DR. ROTH: Thank you. We have other  
4 questions. Dr. Nowakowski?

5 DR. NOWAKOWSKI: Greg Nowakowski. I'd like  
6 to compliment the applicant for development of  
7 postmarketing study and the registry to capture  
8 safety and efficacy in a real-world situation.

9 I wonder, in this study, if you could  
10 clarify if you're planning on enrolling patients  
11 who received the product or also patients who  
12 intend to receive the product, meaning underwent  
13 apheresis. What is the cutoff point for enrollment  
14 in this study?

15 DR. LEBWOHL: I'll put up the study again.  
16 The study would only be for patients who were  
17 infused with CTL019.

18 DR. NOWAKOWSKI: I think, based on some of  
19 the discussions which we had, that many patients  
20 aren't able to undergo, this will affect the  
21 efficacy with cancer length of the patients. You  
22 could consider enrolling all the patients, at least

1 the patients who underwent apheresis, to capture  
2 manufacturing failure rate as well as other events,  
3 which are cured before infusion, which precludes  
4 patients from receiving therapy.

5 DR. LEBWOHL: We certainly will have  
6 information on manufacturing success rate because  
7 that is what we'll be doing. We'll be  
8 manufacturing the product and then looking at the  
9 success rate. And we'll think about your idea of  
10 whether we can get information about more  
11 information about those patients.

12 DR. ROTH: Any other questions? Dr. Cripe?

13 DR. CRIPE: Could you put up slide BH-12  
14 again, B-H, boy, Harry? I don't know. Bravo,  
15 Hotel, thank you.

16 So you have a patient there on the bottom  
17 that had proliferation of the transgene at month 8,  
18 9, 10, a couple of spikes, and it looks like maybe  
19 ramping up to that point. So in the effort of  
20 trying to learn from every patient, could you tell  
21 us more about that? Do you think that the leukemia  
22 is relapsing and then it's restimulated production

1 of the clone, or what explains that?

2 DR. LEBWOHL: We'll ask Dr. Grupp about that  
3 patient.

4 DR. GRUPP: That, as you correctly point  
5 out, is the patient who had the transduced leukemia  
6 cell and who relapsed. So that increase in  
7 transgene expression is the patient's leukemia, and  
8 that's that one singular event.

9 DR. ROTH: Any other questions?

10 (No response.)

11 DR. ROTH: Then let's take a break. I have  
12 it as 2:20 now. Let's do a 20-minute break and  
13 reconvene at 2:40.

14 (Whereupon, at 2:17 p.m., a recess was  
15 taken.)

16 DR. ROTH: Let's go ahead and get started.  
17 There are a couple of housekeeping items before we  
18 go to the open public hearing. I noticed some  
19 difficulties technically with slides. And those  
20 are not the responsibility of the applicant, those  
21 are issues in the room, so I apologize for that.

22 Secondly, it is my fault I forgot that we

1 changed some personnel for the afternoon session.  
2 And for the record, we're going to go around one  
3 more time, introducing ourselves for the record, so  
4 if we could, start with Dr. Gordon.

5 DR. GORDON: Gary Gordon, AbbVie Oncology.

6 DR. BOLLARD: Cath Bollard, Children's  
7 National.

8 DR. CRIPE: Tim Cripe, Nationwide  
9 Children's, Columbus, Ohio.

10 DR. SMITH: Malcolm Smith, National Cancer  
11 Institute.

12 MS. McMILLAN: Gianna McMillan, patient  
13 representative.

14 DR. GULLEY: James Gulley, National Cancer  
15 Institute.

16 DR. RINI: Brian Rini, Cleveland Clinic.

17 DR. ROTH: Bruce Roth, Wash U in St. Louis.

18 LCDR SHEPHERD: Jennifer Shepherd,  
19 designated federal officer.

20 DR. NOWAKOWSKI: Greg Nowakowski, Mayo  
21 Clinic.

22 DR. REIN: Alan Rein, National Cancer

1 Institute.

2 DR. COLE: Bernard Cole, University of  
3 Vermont, biostatistics.

4 DR. GEORGE: Bindu George, CBER, OTAT.

5 DR. PRZEPIORKA: Donna Przepiorka, CDER,  
6 Division of Hematology Products.

7 DR. THEORET: Marc Theoret, OCE, FDA.

8 DR. O'LEARY: Maura O'Leary, CBER, OTAT.

9 DR. BRYAN: Wilson Bryan, Office of Tissues  
10 and Advanced Therapies in the Center for Biologics.

11 DR. PAZDUR: Rick Pazdur, Oncology Center of  
12 Excellence.

13 **Open Public Hearing**

14 DR. ROTH: Thank you. We'll move on now to  
15 the open public hearing session. Both the Food and  
16 Drug Administration and the public believe in a  
17 transparent process for information-gathering and  
18 decision-making. To ensure the transparency at the  
19 open public hearing session of the advisory  
20 committee meeting, the FDA believes it is important  
21 to understand the context of an individual's  
22 presentation.

1           For this reason, FDA encourages you, the  
2 open public hearing speaker, at the beginning of  
3 your written or oral statement to advise the  
4 committee of any financial relationship that you  
5 may have with any industry group, its products, and  
6 if known, its direct competitors. For example,  
7 this financial information may include the  
8 industry's payment of your travel, lodging, or  
9 other expenses in connection with your attendance  
10 at the meeting.

11           Likewise, FDA encourages you, at the  
12 beginning of your statement, to advise the  
13 committee if you do not have any such financial  
14 relationships. If you choose not to address this  
15 issue of financial relationships at the beginning  
16 of your statement, it will not preclude you from  
17 speaking.

18           The FDA and this committee place great  
19 importance in the open public hearing process. The  
20 insights and comments provided can help the agency  
21 and this committee in their consideration of the  
22 issues before them.

1           That said, in many instances and for many  
2 topics, there will be a variety of opinions. One  
3 of our goals today is for this open public hearing  
4 to be conducted in a fair and open way, where every  
5 participant is listened to carefully and treated  
6 with dignity, courtesy, and respect. Therefore,  
7 please speak only when recognized by the  
8 chairperson. Thank you for your cooperation.

9           Will speaker number 1 please step up to the  
10 podium and introduce yourself? Please state your  
11 name and any organization that you are representing  
12 for the record.

13           MS. SANTIAGO: Good afternoon. My name is  
14 Kristen Santiago, and I am here on behalf of the  
15 cancer support community, which I will refer to as  
16 CSC throughout my remarks. The Cancer Support  
17 Community does receive funding from Novartis,  
18 however, my presence here today was not supported  
19 in any way by Novartis.

20           CSC serves patients and their loved ones  
21 through a network of 150 affiliate sites and  
22 satellite locations as well as at the Cancer

1 Support Helpline, where patients and their families  
2 receive evidence-based programming, social, and  
3 emotional support.

4 We provide free programs, which include  
5 professionally-led support groups, educational  
6 seminars, nutritional workshops, and exercise and  
7 mind body programs. Our mission is to help people  
8 living with cancer regain a sense of control over  
9 their lives, feel less isolated, and restore their  
10 sense of hope for the future, regardless of their  
11 stage of disease.

12 In 2016, nearly 100,000 individuals,  
13 including patients and caregivers affected by  
14 relapsed/refractory B-cell ALL, made more than  
15 900,000 visits to our centers across the country  
16 and around the globe. CSC is also home to the only  
17 research and training institute, where work is  
18 focused on understanding and elevating the patient  
19 and caregiver voice about the cancer experience.

20 My comments today reflect what we have  
21 learned from the Cancer Experience Registry through  
22 our research and training institute as well as what

1 we see in our locations around the country each  
2 day.

3 CSC serves people with all types of cancer,  
4 and we are seeing a high unmet need for children  
5 and young adults with relapsed/refractory B-cell  
6 ALL. ALL is a difficult disease with few effective  
7 treatments and ones that come with may have side  
8 effects. And there is much unknown about the long-  
9 term lifelong side effects of its existing  
10 therapies.

11 Given the growing patient population,  
12 severity of disease, and limited treatment options  
13 as having discussed today, additional novel  
14 treatments are needed in the portfolio of treatment  
15 options for patients with ALL.

16 The ultimate treatment decision should be  
17 made between the patient, caregivers, and the  
18 healthcare team following a thorough review, which  
19 includes examination of the risk-benefit profile as  
20 it relates to the patients' particular needs.

21 Because ALL affects children and young  
22 adults, CSC encourages the sponsor to continue to

1 monitor patients in a postmarketing study, which  
2 you plan to do, to continue to build the body of  
3 data on long-term side effects of the treatment, as  
4 it would be very meaningful for the patient as well  
5 as the caregivers to know what an individual may  
6 experience 5, 10, 25, or even more years down the  
7 road.

8           We know that the patient experience is much  
9 broader than the patient assessments of disease  
10 symptoms, treatment side effects, and physical  
11 functioning. And CSC encourages the sponsor to  
12 collect additional patient experience data to  
13 better understand what is actually really  
14 meaningful to patients as well as their caregivers.

15           This patient experience data should include  
16 information and concerns as related to disruption  
17 to daily and family life, which may be due to  
18 treatment regimen, concerns related to nutrition,  
19 financial impact, and others to provide meaningful  
20 feedback through the patient voice in real time  
21 about issues that may not be identified through the  
22 current measures.

1           Finally, given the high degree of patient  
2           care management needed for this population and this  
3           disease, the CSC would like to see a robust patient  
4           provider and caregiver education plans developed  
5           and implemented.

6           At the Cancer Support Community, we have  
7           learned a great deal from those we support, and we  
8           believe in the importance of value and of an  
9           educated and empowered patient. Since people with  
10          cancer often feel stigmatized, alone, and  
11          overwhelmed with grief, they feel stronger and more  
12          hopeful when they have more control of the best  
13          decisions for them.

14          Access to a full portfolio of treatment  
15          options as well as supportive care solutions helps  
16          arm them for making the best decisions for their  
17          personal situation.

18          Today, we ask that you carefully consider  
19          the challenges of those facing ALL and the need for  
20          a wider array of treatment options. We urge you to  
21          look at a broad range of treatment options that  
22          will encourage patients and caregivers to be

1 informed, empowered, and optimistic about their  
2 treatment. Thank you for the opportunity to speak  
3 today.

4 DR. ROTH: Thank you. Will speaker number 2  
5 please step up to the podium and introduce  
6 yourself? Please state your name and any  
7 organization that you represent.

8 DR. POLANIN: Thank you for the opportunity  
9 to speak today. My name is Dr. Megan Polanin. I  
10 am a senior fellow at the National Center for  
11 Health Research. Our research center analyzes  
12 scientific and medical data and provides objective  
13 health information to patients, providers, and  
14 policymakers. We do not accept funding from  
15 industry, so I have no conflicts of interest.

16 The current therapy has an impressive  
17 overall remission rate. However, this rate was  
18 observed after only 3 months. The study has not  
19 yet reached the intended primary follow-up, which  
20 was 12 months.

21 We are concerned because 3 months was too  
22 short to determine most of the prespecified

1 efficacy endpoints, including overall survival.  
2 The short duration and lack of data on planned  
3 endpoints make it difficult to determine how  
4 effective this therapy actually is.

5 In addition, the treatment has very serious  
6 adverse events in the short term and many of the  
7 long-term adverse events are unknown. Short-term  
8 adverse events include 47 percent of patients  
9 experiencing severe CRS events that were life-  
10 threatening and required medical intervention.

11 There are clear short-term benefits, but  
12 also clear risks, so it is impossible to judge  
13 whether the benefits of this therapy outweigh the  
14 risks. A risk-benefit analysis is further  
15 complicated because it is based on a single one-arm  
16 study of 63 patients.

17 We understand that you may be reluctant to  
18 insist on a full year of data. For that reason, we  
19 strongly suggest that the FDA require at least  
20 6 months of data on these patients before it makes  
21 a decision to approve this treatment or not. Three  
22 more months is not a very long time to wait, and

1       meanwhile we encourage the FDA to allow more  
2       patients to be added to the study.

3               The pivotal trial was designed with primary  
4       follow-up of 1 year and secondary follow-up of  
5       5 years. As you know, after treatment is approved,  
6       patients are often lost to follow-up, making it  
7       difficult to interpret the results. This harms all  
8       patients because it means physicians, and patients,  
9       and family members lack the information they need  
10      to know if the treatment is likely to be beneficial  
11      or if they are risking serious side effects for at  
12      best a very short-term benefit.

13              It would be naïve to assume that any  
14      required postmarket research, or follow-up, or  
15      registry will answer the question of whether the  
16      benefits outweigh the risks. Post-market studies  
17      frequently fail to answer such questions, and in  
18      the meantime, new patients are exposed.

19              Before a new medical product is approved,  
20      the FDA needs sufficient data that the benefits  
21      outweigh the risks. To approve a product without  
22      sufficient data puts patients at unnecessary risk.

1           Keep in mind that patients in clinical  
2 trials are usually much more carefully monitored  
3 than patients in the typical healthcare setting.  
4 In addition, physicians and other healthcare  
5 providers are more carefully trained about the use  
6 and risks of new therapies in a clinical trial than  
7 other physicians. This means that the risks and  
8 benefits of this treatment might be quite different  
9 in the real world, and with only 3 months of data,  
10 it is not possible to make patients and their  
11 families reasonably aware of the risks and benefits  
12 of this treatment before they decide whether or not  
13 to try it.

14           This is a major issue for the panel and the  
15 FDA to consider. In the postmarket setting, the  
16 most severe risks are expected to be mitigated  
17 through REMS. A simple warning on the label or  
18 even a pamphlet discussing the risks could be  
19 easily ignored or overlooked. Training for  
20 providers and implementation of specific hospital  
21 procedures will likely be required.

22           Relapsed/refractory B-cell acute

1 lymphoblastic leukemia is a severe and heart-  
2 breaking cancer, especially as it affects children  
3 and young adults. New treatments are desperately  
4 needed. However, treatments should not be approved  
5 based on the hope that they will be shown to be  
6 safe and effective based on a small sample of  
7 patients studied for only 3 months. Patients and  
8 their doctors deserve to be able to trust that  
9 benefits outweigh risks for FDA-approved  
10 treatments.

11 We greatly appreciate the efforts of the FDA  
12 to ensure that this treatment can be manufactured  
13 consistently, develop methods to mitigate the known  
14 adverse events, and implement 15-year studies to  
15 monitor new events that may take a long time to  
16 emerge. However, it is important that the FDA is  
17 certain is that the treatment is safe and effective  
18 for at least 6 to 12 months before deciding whether  
19 to approve it. Thank you for the opportunity to  
20 share our perspective.

21 DR. ROTH: Thank you. Will speak number 3  
22 please step up to the podium and introduce

1 yourself? Please state your name and any  
2 organization that you represent.

3 MR. McMAHON: My name is Don McMahon. I  
4 don't represent any organization. I just represent  
5 my son, and I am not financially gaining from any  
6 of this. And I promise I am not going to use the  
7 word tocilizumab even once while I stand up here.  
8 I think we've heard enough of those type of big  
9 long words today. Today, I'm just going to talk to  
10 you about Connor's Hope.

11 My son, Connor, was 3 years old when he was  
12 first diagnosed. His only dream at that point was  
13 to learn how to play hockey. That's really the  
14 only thing he ever wanted to do. It's what his  
15 older brother did. He wanted to join along with  
16 him.

17 Just two months after those pictures of him  
18 in that hockey mask were taken, he was diagnosed  
19 with ALL type B precursor. At the time of the  
20 diagnosis, he had 100 percent leukemia cells in his  
21 bloodstream. We were told we needed immediate  
22 surgery and immediate chemotherapy.

1           Two months later, after gaining 30 pounds  
2           from the drugs that he was taking, steroids, and  
3           losing his hair, he was incredibly uncomfortable  
4           and kind of embarrassed, even at 3. But being the  
5           true warrior that he is, for 3 years and 2 months,  
6           he battled that cancer and endured the effects of  
7           chemo all with that trademark smile.

8           Over the next 3 years and 2 months, Connor  
9           would have four hospitalizations, 66 appointments  
10          at the AFLAC Cancer Center in Atlanta, Georgia, two  
11          surgeries, 13 bone marrow aspirations, 23 spinal  
12          taps, and swallowed thousands of pills.

13          2008, cancer was behind him. He was a  
14          happy, healthy 7-year-old. Doctors told us that  
15          there was less than a .01 percent chance that this  
16          cancer would ever return, as long as he remained  
17          cancer free for 5 years from the date of the  
18          initial diagnosis.

19          But we're going to fast-forward eight years  
20          from that first diagnosis, and on March 23rd of  
21          2013, his cancer came back, just two days before  
22          his 12th birthday. This time, it would be 2 years

1 and 6 months of chemo that would course through his  
2 veins, and yet he never stopped living his dream to  
3 play hockey and hoping that cancer would be a thing  
4 of the past.

5           However, this time, his cancer was nearly  
6 fatal, slipped into a coma, and he nearly died.  
7 And then he woke up. The first thing he did was  
8 ask me for a piece of paper. And while that's hard  
9 to read, I can promise you, what it says is, "When  
10 can I get this thing out?" He had been intubated  
11 and he couldn't speak.

12           Just a few short weeks after that, he was  
13 out of the hospital, big smile firmly intact,  
14 determined once again not to let cancer beat him.  
15 And a few weeks after that, he was back on the ice.  
16 Over the next 2 years and 6 months, he would have  
17 four more hospitalizations, 70 appointments at that  
18 AFLAC Cancer Center, 2 surgeries, 10 bone marrow  
19 aspirations, 17 spinal taps, and again swallowed  
20 thousands of pills.

21           His last chemo date was July the 2nd of  
22 2015, right back where he belongs. He continued to

1 play in net, maintain excellent stats while  
2 undergoing that chemo during that second round.  
3 But now that cancer was over, he would really  
4 shine.

5 Living his life like your average 15-year-  
6 old until June of 2016, diagnosis number 3. This  
7 time we were told Connor only had a 30 percent  
8 chance of survival and that was if we did a bone  
9 marrow transplant. His mother and I were  
10 desperate. We needed something other than that  
11 traditional chemo and bone marrow transplant  
12 options, and that's when we heard about the CAR  
13 T cell. We knew that this was our chance to  
14 finally beat cancer. And to top it all off, all of  
15 that chemo had made Connor sterile.

16 So it was decision time. Connor was set to  
17 begin his bone marrow transplant on Monday morning.  
18 On Saturday night, when I first heard about CAR  
19 T-cell therapy, I reached out to Tom Whitehead.  
20 Tom's the father of patient number 1. That phone  
21 call changed all of our lives.

22 We were sitting in a pre-op room to begin

1 his surgery on Monday morning to do the bone marrow  
2 transplant, when my phone rang and it was  
3 Children's Healthcare of Philadelphia.  
4 Unfortunately, Connor had been denied their  
5 program, but he was accepted into the Duke  
6 University study.

7 So in July of 2016, we harvested his T cells  
8 for just 5 hours in a transfusion room. Those  
9 cells were sent to New Jersey to be reengineered,  
10 but he continued to play hockey while this process  
11 went on and did not have one day of downtime from  
12 his illness. A matter of fact, Connor played in  
13 net the day before he went to Duke.

14 Then we went for CAR-T. Doctors called  
15 Connor the healthiest cancer patient they ever saw.  
16 Even while getting his CAR-T, he continued to  
17 smile. Connor did have a reaction, and he battled  
18 fevers of over 104 degrees for 8 days. Three of  
19 those days, he was over 107 degrees.

20 He lost 30 pounds in about a month, but he  
21 was determined. He was able to get up and walk out  
22 of his room, and then he walked right out of the

1 hospital. Keeping Connor's hope alive just one day  
2 after leaving that hospital, he went back to  
3 deliver what he calls bags of hope. It's bags that  
4 he delivers to kids that are waiting in the  
5 hospital for their turn.

6 Less than 30 days after leaving the  
7 hospital, he was back in net. He finished the  
8 season with a 14-3-1 record and, of those 14 wins,  
9 8 were shutouts. He only missed 50 days of being  
10 on the ice. His team also went to nationals, and  
11 they placed third in the country, where he scored  
12 his 8th shutout of the season while the goalie for  
13 the Dallas Stars sat on the sideline and watched.

14 There are also family effects. There's  
15 increased depression in all family members.  
16 There's increased divorce rates for parents of  
17 children with cancer; PTSD symptoms that can appear  
18 years after diagnosis due to the long treatment  
19 process. There's economic hardships that are  
20 placed on every family, siblings that feel alone  
21 and not as important as the child with cancer.

22 So you tell me, chemotherapy for Connor was

1 12 years with almost 6 of those getting chemo  
2 versus 3 months from start to finish and 4 days of  
3 chemo, 8 hospitalizations, weeks as an inpatient,  
4 3 hospitalizations with a total of 10 days as an  
5 inpatient, 136 appointments at the AFLAC Cancer  
6 Center, and in 12 months less than 20 doctor's  
7 appointments; 4 surgeries versus 2 for his port to  
8 be put in and out; 23 bone marrow aspirations  
9 versus 3; 40 spinal taps versus 3; thousands of  
10 pills swallowed versus under 200 taken in home  
11 care.

12 So ladies and gentlemen, for the sake of  
13 these children, not only in the U.S., but all over  
14 the world, follow the example that the United  
15 States sets with world-class healthcare. Adopt and  
16 approve CAR T-cell therapy because, at the end of  
17 the day, that truly is Connor's hope.

18 DR. ROTH: Thank you for sharing your story.  
19 Would speaker number 4 please step to the podium  
20 and introduce yourself? Please state your name and  
21 any organization that you may represent.

22 MR. WHITEHEAD: Hello. My name is Tom

1 Whitehead. I'm a co-founder of the Emily Whitehead  
2 Foundation and Emily's dad, and I am not  
3 benefitting financially from participating here  
4 today in any way.

5 Good afternoon. It is an honor to share  
6 with you today how my daughter, Emily Whitehead,  
7 became the first child to be treated and cured of  
8 leukemia in the CTL019 trial at the Children's  
9 Hospital of Philadelphia.

10 Emily, our only child, was born perfectly  
11 healthy on May 2nd of 2005. She remained healthy  
12 up until May 28th of 2010, when she became sick  
13 overnight and was diagnosed with acute  
14 lymphoblastic leukemia. We learned that ALL is the  
15 most common type of pediatric cancer and that  
16 90 percent of the children are cured with standard  
17 therapy.

18 The oncologist called it a garden variety  
19 type of leukemia and said that if we followed the  
20 standard protocol of 26 months of chemotherapy,  
21 Emily would be cured, and grow up, and grow old,  
22 and become a grandmother someday.

1           Emily had a very rough start, and after the  
2 first week of chemotherapy developed serious  
3 infections in her legs that almost took her life.  
4 But the chemotherapy worked and got her into  
5 remission after the first month.

6           She remained in remission for 16 months,  
7 then at a routine appointment for blood work, her  
8 oncologist called to tell us that her cancer had  
9 returned. We were shocked to hear that she had  
10 relapsed. He said that children rarely relapse  
11 while still getting therapy and that now she would  
12 need a bone marrow transplant and had less than a  
13 30 percent chance of surviving.

14           Before moving forward, we decided to seek a  
15 second opinion at CHOP. The doctor there said that  
16 she would do the same type of bone marrow  
17 transplant as our home hospital recommended and  
18 that it was fine to return there to receive  
19 treatment since it was 2 hours closer to home for  
20 us.

21           Before we left, she mentioned that there was  
22 an upcoming clinical trial called CTL019 that uses

1 the patients' own immune system to fight cancer,  
2 however, the trial wasn't expected to be ready for  
3 several months and would not be ready in time for  
4 Emily. That's what she thought.

5 We decided to attempt a bone marrow  
6 transplant with an unrelated donor at our home  
7 hospital. The transplant was scheduled for  
8 February of 2012, however, just a few weeks before  
9 the scheduled date, we were devastated to find out  
10 that Emily had relapsed again. We tried another  
11 course of chemotherapy to get her back in  
12 remission, but instead, the leukemia continued to  
13 grow quickly.

14 Emily's oncologist said that the bone marrow  
15 transplant was no longer an option and it was time  
16 to take her home in hospice and enjoy the days we  
17 had left with her.

18 As a last hope, we called the Children's  
19 Hospital of Philadelphia again to see if there was  
20 any help they could offer us. The timing was  
21 perfect because they told us the CTL019 trial had  
22 started enrolling patients earlier than expected.

1           We transferred to CHOP, where we met  
2           Dr. Stephan Grupp and his amazing team for the  
3           first time. He explained the process and the risk  
4           involved with being the first patient in the CTL019  
5           trial. We felt that this was the new approach we  
6           needed to fight Emily's leukemia, and it didn't  
7           take us long to decide to enroll her in the  
8           clinical trial.

9           Emily had her T cells extracted in March of  
10          2012. This picture shows that happening. She was  
11          given the CTL019 modified cells a month later.  
12          Within days after the last dose, Emily experienced  
13          severe cytokine-release syndrome, but during that  
14          time, it was discovered that her interleukin-6  
15          levels were very high, and one day, Dr. Grupp came  
16          in and asked if he could administer a drug called  
17          tocilizumab to try to reverse the negative side  
18          effects. It worked. Emily began to recover from  
19          the cytokine storm within a few hours.

20          Now, when other parents enter their children  
21          into this treatment, they are more comfortable  
22          knowing that the doctors figured out a solution for

1 Emily's storm so quickly.

2 We have since heard from many parents that  
3 tocilizumab has successfully worked to slow the  
4 storm in their child and it made their child's  
5 treatment more tolerable. Emily's bone marrow was  
6 checked just 23 days after the first infusion, and  
7 on May 10th of 2012, Dr. Grupp called me and said,  
8 "Tom, it worked. Your daughter is cancer free."  
9 That's the best call I ever received.

10 We took Emily home from the hospital on June  
11 1st and, that August, she returned to elementary  
12 school. Six months after taking her home, her  
13 story went public, and we received media requests  
14 for interviews from all over the world. We also  
15 received calls from parents from all over the  
16 world, wanting to know how they could get their  
17 child into this trial.

18 We spent our free time outside of our normal  
19 jobs increasing awareness of this treatments so  
20 other patients who were told that their child was  
21 out of options can find it in time. Five years  
22 later, we still receive calls and messages from

1 parents around the world, looking for help and  
2 advice on how to get this treatment. We are  
3 reminded of the impact this has had every time we  
4 meet or hear from a family that the therapy has  
5 been a success for their child.

6 CTL019 killed Emily's resistant leukemia in  
7 just 23 days and did what standard treatment  
8 couldn't do. It saved our daughter's life. This  
9 treatment has kept our family whole. Today, Emily  
10 is a typical healthy 12-year-old girl and is at the  
11 top of her class academically.

12 There are parents all over the world  
13 watching, waiting to hear that this treatment will  
14 be available to try before their child dies from  
15 cancer. We believe that when this treatment is  
16 approved, it will save thousands of children's  
17 lives around the world.

18 I hope that someday all of you on this  
19 advisory committee can tell your families for  
20 generations that you were part of the process that  
21 ended the use of toxic treatments like chemotherapy  
22 and radiation as standard treatment and turn blood

1 cancers into a treatable disease that even after  
2 relapse, most people survive.

3 Children fighting cancer need better  
4 treatments like CTL019 that are more effective and  
5 less toxic than chemotherapy and radiation so that  
6 they do not have to live the life-long side effects  
7 of their treatments.

8 The benefit we gained from this treatment  
9 far outweighed the risk. We were honestly more  
10 afraid of a full-body radiation that Emily was  
11 going to receive before a bone marrow transplant  
12 than we ever were of entering her as the first  
13 child in the CTL019 trial. Our daughter was going  
14 to die, and now she leads a normal life.

15 If you want to see what a cure looks like  
16 for relapsed ALL, she's standing right beside me,  
17 and it's because of this treatment. I would like  
18 to take this time to thank, personally thank,  
19 everyone that worked so hard for so many years to  
20 turn this treatment and have it ready for Emily  
21 when she needed it. There are amazing people  
22 working on this very hard and missing a lot of

1 times with their own families.

2 We are honored to attend this hearing and be  
3 a part of this process. We hope and urge you to  
4 vote in favor of this approval, and we're very  
5 thankful for you giving us time today to speak. So  
6 thank you very much.

7 DR. ROTH: Thank you, Mr. Whitehead, Emily.

8 (Applause.)

9 **Questions to the Committee and Discussion**

10 DR. ROTH: The open public hearing portion  
11 of this meeting is now concluded, and we will no  
12 longer take comments from the audience. The  
13 committee will now turn its attention to address  
14 the task at hand, the careful consideration of the  
15 data before the committee as well as the public  
16 comments.

17 We will now continue with the questions to  
18 the committee and panel discussions. I would like  
19 to remind public observers that while this meeting  
20 is open for public observation, public attendees  
21 may not participate except at the specific request  
22 of the panel.

1           If I could have the first question, please.  
2           Question 3 for discussion, please discuss the risk  
3           mitigation measures for the serious risks of  
4           cytokine-release syndrome and neurotoxicity with  
5           tisagenlecleucel.

6           Are there any comments on the phrasing of  
7           the question or any specific comments that you  
8           might have? Any discussion at all? Dr. Cripe?

9           DR. CRIPE: Do you want us to discuss this  
10          now or answers to this? Yes. So I'm going to  
11          start by saying that even though cytokine-release  
12          syndrome sounds really scary, our bone marrow  
13          transplant teams are used to dealing with this sort  
14          of thing every day.

15          The strategies that they've put in place to  
16          train people to qualify teams, much of which is  
17          already in place, FACT certification, teams used to  
18          dealing with ICU-type of situations, is nothing new  
19          to pediatrics. So their mitigation strategies to  
20          me are very good and very reassuring.

21          In addition, public speaker number 2 raised  
22          several points that I would argue may be true for

1 the adult cancer world, but in my experience, over  
2 two decades as a practicing pediatric oncologist,  
3 are really not true for pediatric patients.

4           So for example, patients are often "lost to  
5 follow-up," so we don't know what's going to happen  
6 to them. I would say that's not my experience.  
7 Patients are very rarely lost to follow-up. Not  
8 only do they have two parents, but often have  
9 extended families looking after them, making sure  
10 that they get to their appointments, or if not,  
11 they're put in someone's care to do so.

12           So we also have very mature long-term  
13 follow-up clinics that track patients down and get  
14 them back for their routine follow-ups very  
15 rigorously. So they're very rarely lost to follow-  
16 up.

17           Second point was, "Patients are monitored  
18 more carefully in clinical trials than the real  
19 world." In the pediatric world, most of our  
20 patients are on clinical trials. It's our standard  
21 practice to monitor them very carefully. And even  
22 when they're not enrolled on trial, we're typically

1 following a protocol that spells out very careful  
2 monitoring.

3           So again, I take issue with that statement.  
4 It may be true in the adult world, where most  
5 patients are not on clinical trials, but certainly  
6 not in the pediatric world. So I don't think that  
7 we're going to lose a lot of data, or not be  
8 following these patients, or not be following them  
9 closely because that's our standard practice. So I  
10 have no concerns with their risk mitigation  
11 measures.

12           DR. ROTH: Dr. Bollard?

13           DR. BOLLARD: So I completely agree with  
14 Dr. Cripe, and I think the team has done an  
15 outstanding job with these mitigation measures. I  
16 guess I just have a wider question regarding -- I  
17 really as a pediatric hematologist applaud the fact  
18 that this is coming to us in the pediatric setting.  
19 As you hear, there's an unmet need. It has changed  
20 the lives of many families and children.

21           If this goes to a postmarketing phase,  
22 though, there is the potential to open this up to

1 the older age group, who often aren't eligible for  
2 transplant, et cetera. I would be interested to  
3 know if Novartis has a plan for what risk  
4 mitigation measures they're going to instigate if  
5 they are approached by adult patients.

6 DR. LEBWOHL: David Lebwohl. So the use of  
7 this product would be restricted to patients up to  
8 25 years of age, so we would not be able to produce  
9 material for patients older than that.

10 DR. ROTH: Dr. Smith?

11 DR. SMITH: Yes. I would also second  
12 Dr. Cripe, and I think the risk mitigation strategy  
13 is quite reasonable, and I think will provide  
14 protection for the children who will be treated.

15 I do think that the registry and  
16 establishing that will be very important because we  
17 are at an early stage in learning how to use these  
18 engineered T cells. There's obvious major and  
19 positive treatment effect in a substantial number  
20 of patients, but it's hard at this point to really  
21 know what the true response rate is in a real-world  
22 setting, and a registry will help with that.

1           I think monitoring of neurotoxicity, we're  
2 still at a very early stage for knowing the range  
3 of neurotoxicity that might occur, so the registry  
4 and really following up on episodes of  
5 neurotoxicity will be important.

6           Then monitoring for the duration of B-cell  
7 aplasia, some patients are recovering B cells, but  
8 it will clearly be less than ideal to be a decade  
9 or longer out and still be without B cells.

10           DR. ROTH: Dr. Cripe?

11           DR. CRIPE: My only issue with their  
12 mitigation plan is limiting it to 30 to 35 sites.  
13 I think that will put a burden on families who have  
14 to travel. Most patients who are in this type of a  
15 situation are best served at their own institution,  
16 where the people know them. Their psychosocial  
17 support team is there. The parents can stay in  
18 touch with their support systems as well.

19           I also think it will add time to product  
20 development, requiring a new team to get to know  
21 the patient and for the patient to travel. I think  
22 it could create social economic disparities, where

1 you're going to have areas, rural areas, et cetera,  
2 without access or who have to travel even further.  
3 You're going to have whole metropolitan cities  
4 without any option. And you're going to create  
5 inequities amongst hospitals, even within the same  
6 city.

7 So finally, I don't see it as a business  
8 plan. It limits your market penetration and allows  
9 other competitors to come into hospitals, the other  
10 hospitals that aren't the 30 or 35.

11 So I would like to see a plan to roll it out  
12 to all NMDP sites that are well trained to handle  
13 these kinds of patients.

14 DR. ROTH: I would like to take the contra  
15 approach to that in that I think the best risk  
16 mitigation strategy is experience with the drug.  
17 And I think that there are a limited number of  
18 patients. It may not seem that way at CHOP or  
19 somewhere else, but I do not think that one  
20 improves risk by having dozens of hospitals treat 2  
21 patients a year.

22 That's just my personal bias. We've seen

1 that with other high-risk technologies, and I think  
2 there's no doubt that patients are better and well  
3 faster with fewer life-threatening toxicities when  
4 you've done 15 of these as opposed to one. So just  
5 for complete discussion, I would take a little bit  
6 of a contra approach.

7 Dr. Lebwohl, did you have a comment?

8 DR. LEBWOHL: Thank you for allowing me to  
9 speak. This is the initial group of sites. It's  
10 31 to 35 sites, and it really is to ensure the  
11 safety of the initial period of treatment, taking  
12 the most experienced sites in an addition, adding  
13 enough sites that we have the geographic coverage.

14 But it is true. If we're seeing this is  
15 going well and going safely after 6 to 12 months,  
16 we will expand the group to a number of sites to  
17 make this more available.

18 DR. CRIPE: The technologies for apheresis  
19 are at all NMDP sites. The technologies for gene  
20 transfer and preparation of product is in the  
21 company, so that's not anything that's unique to  
22 the sites. When the product comes back, the

1 infusion and management of the sick patient is  
2 common amongst NMDP sites.

3           So I don't see -- unless Dr. Grupp or others  
4 can tell us something that they can uniquely do,  
5 that other top 20, top 30 hospitals in the country  
6 can't. You're already going to have expansion of  
7 sites from the ones that were already experienced  
8 in this, so I just don't see where your concern is  
9 going to be a problem. I can imagine the same  
10 discussion would have happened before bone marrow  
11 transplant came about, and now everybody does that.

12           DR. ROTH: Other comments?

13           (No response.)

14           DR. ROTH: If there's no further discussion  
15 to this question, we'll now begin the voting  
16 process. Please press the button on your  
17 microphone. I'm sorry. Question number 2, and  
18 then we will vote, which is what I meant to say.

19           (Laughter.)

20           DR. ROTH: Question 4 for the  
21 tisagenlecleucel IND studies, the FDA requires  
22 15 years of follow-up to monitor for subsequent

1 malignant transformation. Given the possibility of  
2 generation of replication-competent retrovirus and  
3 insertional mutagenesis, please discuss the  
4 duration of follow-up and the type of assessments  
5 that you would recommend for patients who receive  
6 marketed tisagenlecleucel.

7 I'll open it up for discussion. Go ahead.

8 DR. CRIPE: Again, we follow our patients  
9 longer than that, and we're going to be happy to  
10 follow these patients that long and even longer, so  
11 we're not too concerned that anybody is going to be  
12 lost.

13 DR. BOLLARD: I would agree with that, and I  
14 think the 15 years is appropriate.

15 DR. ROTH: Dr. McMillan?

16 MS. McMILLAN: As a parent of a survivor of  
17 pediatric cancer, sometimes in follow-up, we are  
18 giving information to the care providers and  
19 they're not giving information back to us. So I  
20 would just encourage that you bring the parents and  
21 caregivers in as part of the team and that they are  
22 updated as to whatever is learned in the 15 years

1 as they unfold so they can also be monitoring their  
2 child.

3 DR. ROTH: Other comments?

4 (No response.)

5 DR. ROTH: Question 5. And before we take a  
6 vote, Dr. Kwak had to leave, so he will not be  
7 voting today. This is for vote. Considering the  
8 efficacy and safety results of study B2202, is the  
9 benefit-risk profile of tisagenlecleucel favorable  
10 for treatment of pediatric and young adult patients  
11 ages 3 to 25 years with relapsed, second or later  
12 relapsed, or refractory, failed to achieve  
13 remission to initial induction or re-induction  
14 chemotherapy, B-cell precursor acute lymphoblastic  
15 leukemia, or ALL?

16 Please press the button on your microphone  
17 that corresponds to your vote. You'll have  
18 approximately 20 seconds to vote. Please press the  
19 button firmly. After you've made your selection,  
20 the light may continue to flash. If you are unsure  
21 of your vote or you wish to change your vote,  
22 please press the corresponding button again before

1 the vote is closed.

2 (Voting.)

3 LCDR SHEPHERD: For the record, the vote is  
4 10 yes, zero no, zero abstain, and zero no voting.

5 DR. ROTH: Now that the vote is complete, we  
6 will go around the table and have everyone who  
7 voted state their name, their vote, and if you want  
8 to, you can state the reason why you voted as you  
9 did into the record. We'll start with Dr. Bollard.

10 DR. BOLLARD: This is Catherine Bollard. I  
11 voted yes. This is a very poor risk patient  
12 population. This is an unmet need in the pediatric  
13 population. As you saw the data day, the clinical  
14 response was remarkable, and I think Novartis has  
15 done a great job putting together a plan for  
16 mitigating risk going forward.

17 DR. CRIPE: Tim Cripe. I voted yes. I  
18 think this is the most exciting thing I've seen in  
19 my lifetime and probably since the introduction of  
20 multi-agent total cancer care, as it was called  
21 then, for the treatment of childhood leukemia in  
22 the '50s.

1 DR. SMITH: Malcolm Smith. I voted yes, and  
2 I agree that this is a major advance and is  
3 ushering in a new era in treating children with  
4 relapsed and refractory ALL.

5 MS. McMILLAN: Gianna McMillan, patient  
6 representative. I voted yes. This therapy meets a  
7 dire unmet need and, on behalf of all parents, I am  
8 grateful for this advance.

9 DR. GULLEY: James Gulley, National Cancer  
10 Institute. You know, this is a novel therapy that  
11 has -- there's a strong unmet need for. There's a  
12 strong efficacy signal. There's a good risk  
13 mitigation strategy in place. And I voted yes.

14 DR. RINI: Brian Rini, Cleveland Clinic. I  
15 voted yes for all the same reasons that have been  
16 outlined. It seems like this is a potentially  
17 paradigm changing type of benefit with an obvious  
18 need. I think the parents who share their stories  
19 had a lot of courage, and I think that they're to  
20 be commended.

21 I think there's an adequate risk mitigation  
22 strategy, and I also think there's really enormous

1 academic opportunities to learn about this  
2 cytokine-release syndrome and how to manage them in  
3 an earlier use of IL-6 antibody, et cetera. And I  
4 think that the company is doing all the right  
5 things in that regard.

6 DR. ROTH: Bruce Roth. I voted yes.  
7 Clearly, a high-risk approach for a disease that  
8 has very few alternative options that also are  
9 associated with toxicity. And while I have some  
10 concerns about late toxicity, you have to be a  
11 long-term survivor to experience late toxicity, and  
12 I think that's what this drug gets us.

13 DR. NOWAKOWSKI: Greg Nowakowski. I voted  
14 yes, although there are still a lot of unknowns in  
15 the long-term effects of the therapies, and we  
16 spent the morning discussing some of the  
17 manufacturing issues.

18 It is hard to argue with unprecedented  
19 clinical success, which we have seen in this  
20 population of patients. We do not really have  
21 other viable treatment options.

22 I found that the risk mitigation strategy

1 developed by the sponsor was very adequate and  
2 acceptable, and the site selection strategy as well  
3 was adequate to assure the safety of this cell  
4 therapy in the future.

5 This, along with the plans for it,  
6 prospective registry for those these patients  
7 postmarketing is very reassuring.

8 DR. REIN: Alan Rein. I voted yes because  
9 of the remarkable clinical successes, although it  
10 does seem to me there are some unanswered questions  
11 about long-term risks. And I'm glad to see  
12 discussion of a 15-year follow-up.

13 DR. COLE: Bernard Cole. I voted yes. As  
14 the statistical reviewer, I often get to talk about  
15 the most boring aspects of these kinds of studies,  
16 and I'm afraid I have to do some of that today.

17 The pivotal study was extremely well run.  
18 High-quality data were produced regarding the  
19 safety and efficacy of the CTL019. The applicant  
20 provided thorough analyses of the primary outcomes  
21 as well as a large collection of sensitivity  
22 analyses, including quality-of-life data. And all

1 of these showed positive benefit in terms of  
2 overall response that is substantial and robust.

3 The results were also verified by FDA, the  
4 limitation being a lack of a control group, and  
5 thus we can't be certain of the magnitude of the  
6 benefit, most importantly, in terms of overall  
7 survival.

8 Nevertheless, the strong and robust benefit  
9 in terms of response observed with CTL019 is  
10 favorable, and I think that's true in light of the  
11 risks and in light of the high level of unmet  
12 clinical need.

13 DR. ROTH: Thank you very much for your  
14 participation today. Panel members, before we  
15 adjourn, are there any last comments from the  
16 agency?

17 DR. PAZDUR: No.

18 **Adjournment**

19 DR. ROTH: Panel members, please take all  
20 your personal belongings with you as the room is  
21 cleaned at the end of the meeting day. All  
22 materials left on the table will be disposed of.

1 Please also be green and turn in your name badges  
2 at the registration table on your way out so that  
3 they may be recycled. We'll now adjourn the  
4 meeting. Thank you very much.

5 (Whereupon, at 3:29 p.m., the meeting was  
6 adjourned.)

7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22