

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH  
ONCOLOGIC DRUGS ADVISORY COMMITTEE (ODAC)

Thursday, May 25, 2017

8:00 a.m. to 11:39 a.m.

FDA White Oak Campus  
White Oak Conference Center  
Building 31, The Great Room  
10903 New Hampshire Avenue  
Silver Spring, Maryland

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Lauren Tesh, PharmD, BCPS**

4 Division of Advisory Committee and

5 Consultant Management

6 Office of Executive Programs, CDER, FDA

7

8 **ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)**

9 **Bernard F. Cole, PhD**

10 Professor

11 Department of Mathematics and Statistics

12 University of Vermont

13 Burlington, Vermont

14

15 **Heidi D. Klepin, MD, MS**

16 Associate Professor of Internal Medicine

17 Section of Hematology and Oncology

18 Wake Forest University Health Sciences

19 Winston Salem, North Carolina

20

21

22

1     **Grzegorz S. Nowakowski, MD**

2     Associate Professor of Medicine and Oncology

3     Mayo Clinic Rochester

4     Rochester, Minnesota

5

6     **Courtney J. Preusse, MA**

7     *(Consumer Representative)*

8     Research Administrator and Patient Advocate

9     Clinical Research Division

10    Fred Hutchinson Cancer Research Center

11    Seattle, Washington

12

13    **Gregory J. Riely, MD, PhD**

14    Associate Attending

15    Memorial Sloan Kettering Cancer Center

16    Associate Professor, Weill Cornell Medical

17    College

18    New York, New York

19

20

21

22

1     **Brian I. Rini, MD, FACP**

2     *(Acting Chairperson)*

3     Professor of Medicine, Lerner College of Medicine

4     Leader, GU Program

5     Department of Hematology and Oncology

6     Cleveland Clinic Taussig Cancer Institute

7     Cleveland, Ohio

8  
9     **Thomas S. Uldrick, MD, MS**

10    Clinical Director

11    HIV & AIDS Malignancy Branch

12    Center for Cancer Research

13    National Cancer Institute

14    Bethesda, Maryland

15  
16    **TEMPORARY MEMBERS (Voting)**

17    **Karen E. Arscott, DO, MSc**

18    *(Patient Representative)*

19    Associate Professor in Clinical Sciences

20    The Commonwealth Medical College

21    Scranton, Pennsylvania

22

1     **Steven M. Cramer, PhD**

2     William Weightman Walker Professor  
3     Department of Chemical and Biological Engineering  
4     Rensselaer Polytechnic Institute  
5     Troy, New York

6

7     **Michelle M. Estrella, MD**

8     Associate Professor of Medicine  
9     School of Medicine  
10    University of California San Francisco  
11    Staff Physician, San Francisco VA Medical Center  
12    San Francisco, California

13

14    **William S. Hancock, PhD**

15    Bradstreet Chair in Bioanalytical Chemistry  
16    Barnett Institute and Department of Chemistry and  
17    Chemical Biology  
18    Northeastern University  
19    Boston, Massachusetts

20

21

22

1     **Adel Karara, BPharm, PhD, FCP**

2     Professor of Medicine

3     School of Pharmacy

4     University of Maryland at Eastern Shore

5     Princess Anne, Maryland

6

7     **Julia B. Lewis, MD**

8     Professor of Pharmaceutical Sciences

9     Division of Nephrology

10    Department of Medicine

11    Vanderbilt University School of Medicine

12    Nephrologist

13    Vanderbilt Medical Center

14    Nashville, Tennessee

15

16    **Donald E. Mager, PharmD, PhD**

17    Professor of Pharmaceutical Sciences

18    Department of Pharmaceutical Sciences

19    school of Pharmacy and Pharmaceutical Sciences

20    University at Buffalo

21    State University of New York

22    Buffalo, New York

1     **Scott A. Waldman, MD, PhD, FCP, FAHA**

2     Samuel M.V. Hamilton Professor of Medicine and  
3     Chair, Departments of Pharmacology and  
4     Experimental Therapeutics  
5     Thomas Jefferson University  
6     State University of New York  
7     Philadelphia, Pennsylvania

8  
9     **ACTING INDUSTRY REPRESENTATIVE TO THE COMMITTEE**

10    **(Non-Voting)**

11    **Gary Gordon, MD, PhD**

12    Vice President, Oncology Development  
13    AbbVie, Inc.  
14    North Chicago, Illinois

15  
16    **FDA PARTICIPANTS (Non-Voting)**

17    **Ann Farrell, MD**

18    Director  
19    Division of Hematology Products (DHP)  
20    OHOP, OND, CDER, FDA

21  
22

1     **R. Angelo de Claro, MD**

2     Medical Officer Team Leader

3     DHP, OHOP, OND, CDER, FDA

4

5     **Leah Christl, PhD**

6     Associate Director for Therapeutic Biologics

7     OND Therapeutic Biologics and Biosimilars Staff

8     CDER, FDA

9

10    **Emanuela Lacana, PhD**

11    Associate Director for Biosimilars and Biologics

12    Policy

13    Office of Biotechnology Products (OBP)

14    Office of Pharmaceutical Quality (OPQ)

15    CDER, FDA

16

17    **Susan Kirshner, PhD**

18    Review Chief

19    Division of Biotechnology Review and Research III

20    OBP, OPQ, CDER, FDA

21

22



1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Brian Rini, MD, FACP	12
5	Conflict of Interest Statement	
6	Lauren Tesh, PharmD, BCPS	16
7	<b>FDA Presentation</b>	
8	351(k) Regulatory Pathway	
9	Leah Christl, PhD	21
10	Clarifying Questions to the Presenter	47
11	Opening Remarks	
12	Angelo de Claro, MD	52
13	<b>Applicant Presentations - Hospira Inc.</b>	
14	Introduction to Epoetin Hospira -	
15	Biosimilar to Epogen Procrit	
16	Sumant Ramachandra, MD, PhD	56
17	Analytical Biosimilarity Assessment	
18	Thomas Vanden Boom, PhD	60
19	Nonclinical Clinical Pharmacology and	
20	Clinical Biosimilarity Assessment	
21	Nancy Martin, MD, PharmD, FCP	72
22		

C O N T E N T S (continued)		
AGENDA ITEM		PAGE
Conclusion Supporting Biosimilarity and Extrapolation Across Indications	Sumant Ramachandra, MD, PhD	88
<b>FDA Presentations</b>		
Epoetin Hospira - a Proposed Biosimilar to US-licensed Epogen/Procrit - BLA 125545	Frances Namuswe, PhD	91
Chemistry, Manufacturing, and Controls (CMC)	Chao Wang, PhD	99
Chemistry, Manufacturing, and Controls (CMC)	Frances Namuswe, PhD	101
Pharmacology/Toxicology	Natalie Simpson, PhD	103
Clinical Immunogenicity	Steven Bowen, PhD	107
Clinical Pharmacology	Vicky Hsu, PhD	111

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	Clinical Efficacy	
4	Lola Luo, PhD	115
5	Clinical Safety	
6	Lori Ehrlich, MD, PhD	120
7	Clarifying Questions to the Presenters	125
8	Open Public Hearing	152
9	Questions to the Committee and Discussion	179
10	Adjournment	192
11		
12		
13		
14		
15		
16		
17		
18		
19		
20		
21		
22		

P R O C E E D I N G S

(8:00 a.m.)

**Call to Order**

**Introduction of Committee**

1 DR. RINI: We are going to go ahead and get  
2 started. Good morning. I am Brian Rini, acting  
3 chair for this meeting. I would like to remind  
4 everyone to silence their cellphones or other  
5 devices if you haven't already done so. I would  
6 also like to identify the FDA press contact, who is  
7 Angela Stark who is waving in the back of the room.  
8

9 To start, we will go around, and I will ask  
10 the panel members to introduce themselves and where  
11 they are from and their expertise, and we will  
12 start with Dr. Gordon.  
13

14 DR. GORDON: Gary Gordon, medical oncology.  
15 I am vice president for oncology development at  
16 AbbVie, and I am the alternative industry  
17 representative.  
18

19 DR. MAGER: Don Mager, professor of  
20 pharmaceutical sciences at the University of  
21 Buffalo.  
22

1 DR. ESTRELLA: Michelle Estrella,  
2 nephrologist, associate professor at University of  
3 California San Francisco.

4 DR. CRAMER: Steve Cramer, chemical  
5 engineering professor, bioprocess engineer  
6 analyticals.

7 DR. KARARA: Adel Karara, professor,  
8 University of Maryland Eastern Shore.

9 DR. LEWIS: Julia Lewis, nephrologist,  
10 Vanderbilt.

11 DR. WALDMAN: Scott Waldman, chair of  
12 pharmacology and experimental therapeutics, Thomas  
13 Jefferson University, Philadelphia.

14 DR. ARSCOTT: Karen Arscott, associate  
15 professor in medicine at the Geisinger Commonwealth  
16 School of Medicine, patient representative.

17 DR. ULDRICK: Thomas Uldrick, hematologist,  
18 medical oncologist, Center for Cancer Research,  
19 NCI.

20 DR. COLE: Bernard Cole, professor,  
21 statistics, University of Vermont.

22 DR. RINI: Brian Rini. I'm a GU medical

1 oncologist at Cleveland Clinic.

2 DR. TESH: Lauren Tesh, designated federal  
3 officer for ODAC.

4 DR. NOWAKOWSKI: Grzegorz Nowakowski,  
5 hematologist at Mayo Clinic Rochester.

6 DR. RIELY: Greg Riely, medical oncologist,  
7 Memorial Sloan-Kettering.

8 DR. KLEPIN: Heidi Klepin, geriatric  
9 oncologist, Wake Forest.

10 DR. HANCOCK: William Hancock, Northeastern  
11 University, analytical chemistry, HPLC mass  
12 spectrometry.

13 DR. KIRSHNER: Susan Kirshner, FDA, Office  
14 of Biotech Products, and I am doing CMC  
15 immunogenicity.

16 DR. LACANA: Emanuela Lacana, associate  
17 director for Biosimilar and Biological Products in  
18 the Office of Hematology Products.

19 DR. CHRISTL: Leah Christl, associate  
20 director for Therapeutic Biologics in the Office of  
21 New Drugs, CDER, FDA.

22 DR. de CLARO: Angelo de Claro, clinical

1 team lead, FDA.

2 DR. FARRELL: Ann Farrell, division  
3 director, Division of Hematology Products, CDER.

4 DR. RINI: Introduce yourself.

5 MS. PREUSSE: Courtney Preusse, patient  
6 representative, Fred Hutch.

7 DR. RINI: For topics such as those being  
8 discussed in today's meeting, there are often a  
9 variety of opinions, some of which are quite  
10 strongly held. Our goal is that today's meeting  
11 will be a fair and open forum for discussion of  
12 these issues and that individuals can express their  
13 views without interruption.

14 Thus, as a general reminder, individuals  
15 will be allowed to speak into the record only if  
16 recognized by the chairperson. We look forward to  
17 a productive meeting.

18 In the spirit of the Federal Advisory  
19 Committee Act and the Government in the Sunshine  
20 Act, we ask that advisory committee members take  
21 care that their conversations about the topic at  
22 hand take place only in the open forum of the

1 meeting.

2 We are aware that members of the media are  
3 anxious to speak with the FDA about these  
4 proceedings. However, FDA will refrain from  
5 discussing details of this meeting with the media  
6 until its conclusion. Also, the committee is  
7 reminded to refrain from discussing the meeting  
8 during any breaks or lunch. Thank you.

9 Now I will pass it to Lauren, who will read  
10 the conflict of interest statement.

11 **Conflict of Interest Statement**

12 DR. TESH: The Food and Drug Administration  
13 is convening today's meeting of the Oncologic Drugs  
14 Advisory Committee under the Federal Advisory  
15 Committee Act of 1972. With the exception of the  
16 industry representative, all members and temporary  
17 voting members of the committee are special  
18 government employees or regular federal employee  
19 from other agencies and are subject to federal  
20 conflict of interest laws and regulations.

21 The following information on the status of  
22 this committee's compliance with federal ethics and



1 conflict of interest laws, covered by but not  
2 limited to those found at 18 U.S.C. Section 208, is  
3 being provided to participants in today's meeting  
4 and to the public.

5 FDA has determined that members and  
6 temporary voting members of this committee are in  
7 compliance with federal ethics and conflict of  
8 interest laws. Under 18 U.S.C. Section 208,  
9 Congress has authorized FDA to grant waivers to  
10 special government employees and regular federal  
11 employees who have potential financial conflicts  
12 when it is determined that the agency's need for a  
13 special government employee's services outweighs  
14 his or her potential financial conflict of interest  
15 or when the interest of the regular federal  
16 employee is not so substantial as to be deemed  
17 likely to affect the integrity of the services  
18 which the government may expect from the employee.

19 Related to the discussions of today's  
20 meeting, members and temporary voting members of  
21 this committee have been screened for potential  
22 financial conflicts of interest of their own as

1 well as those imputed to them, including those of  
2 their spouses or minor children and, for purposes  
3 of 18 U.S.C. Section 208, their employers. These  
4 interests may include investments; consulting;  
5 expert witness testimony; contracts, grants,  
6 CRADAs; teaching, speaking, writing; patents and  
7 royalties; and primary employment.

8 Today's agenda involves biologics license  
9 application 125545 for the proposed biosimilar to  
10 Amgen Inc.'s Epogen/Procrit, epoetin alfa,  
11 submitted by Hospira, Inc., a Pfizer company.

12 The proposed indications, uses, for this  
13 product are, one, for the treatment of anemia due  
14 to chronic kidney disease, including patients in  
15 dialysis and not on dialysis to decrease the need  
16 for red blood cell transfusion; two, for the  
17 treatment of anemia due to zidovudine administered  
18 at less than 4,200 milligrams per week in  
19 HIV-infected patients with endogenous serum  
20 erythropoietin levels of less than or equal to  
21 500 milliunits per mL; three, for the treatment of  
22 anemia in patients with non-myeloid malignancies

1 where anemia is due to effect of concomitant of  
2 myelosuppressive chemotherapy, and upon initiation,  
3 there is a minimum of two additional months of  
4 planned chemotherapy; and to reduce the need for  
5 allogeneic red blood cell transfusions among  
6 patients with perioperative hemoglobin of greater  
7 than 10 to less than or equal to 13 grams per  
8 deciliters who are at high risk for perioperative  
9 blood loss for elective, noncardiac, nonvascular  
10 surgery.

11 This is a particular matters meeting during  
12 which specific matters related to Hospira's BLA  
13 will be discussed. Based on the agenda for today's  
14 meeting and all financial interests reported by the  
15 committee members and temporary voting members, no  
16 conflict of interest waivers have been issued in  
17 connection with this meeting.

18 To ensure transparency, we encourage all  
19 standing members and temporary voting members to  
20 disclose any public statements that they have made  
21 concerning the product at issue.

22 With respect to FDA's invited industry

1 representative, we would like to disclose that  
2 Dr. Gary Gordon is participating in this meeting as  
3 a non-voting industry representative, acting on  
4 behalf of regulated industry. Dr. Gordon's role at  
5 this meeting is to represent industry in general  
6 and not any particular company. Dr. Gordon is  
7 employed by AbbVie.

8 We would like to remind members and  
9 temporary voting members that if the discussions  
10 involve any other products or firms not already on  
11 the agenda for which an FDA participant has a  
12 personal or imputed financial interest, the  
13 participants need to exclude themselves from such  
14 involvement, and their exclusion will be noted for  
15 the record.

16 FDA encourages all other participants to  
17 advise the committee of any financial relationships  
18 that they may have with the firm at issue. Thank  
19 you.

20 DR. RINI: Thanks, Lauren. We'll now begin  
21 with an FDA presentation regarding the relevant  
22 regulatory pathway from Dr. Leah Christl.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**FDA Presentation - Leah Christl**

DR. CHRISTL: Good morning. What I am going to do first is go through an overview of the regulatory framework and FDA's guidance regarding the development and approval of biosimilar products in the U.S. This won't be product specific. This is a general overview about the regulatory pathway; get you familiar with some definitions, terminology; talk about the approval pathway and the standard; and then walk you through the development of biosimilars, talking about our approach to development and some specific development concepts.

After my presentation, we will have an opportunity for the committee to ask general questions, again, not product-specific questions. That will come later.

In looking at an overview of the BPCI Act, this was signed into law on March of 2010, and what it did is it created an abbreviated licensure pathway for biological products that are shown to be biosimilar to or interchangeable with an FDA-licensed reference product.

1           It states that a biological product that is  
2 demonstrated to be highly similar to an  
3 FDA-licensed biological product, which is referred  
4 to as the reference produce, may rely for licensure  
5 on, among other things, publicly available  
6 information regarding FDA's previous determination  
7 that the reference product is safe, pure, and  
8 potent.

9           This licensure pathway permits a biosimilar  
10 biological product to be licensed under what's  
11 referred to as 351(k) of the Public Health Service  
12 Act based on less than a full complement of  
13 product-specific preclinical and clinical data.  
14 That is where the abbreviation comes from.

15           A little bit more about what we mean by an  
16 abbreviated licensure pathway. This pathway  
17 doesn't mean that there's a lower standard for  
18 approval that is applied to biosimilar or  
19 interchangeable products than to the originator  
20 biological products. What it does mean in terms of  
21 the abbreviation is that there's an ability for the  
22 biosimilar sponsor to rely on FDA's previous

1 finding regarding the reference product to support  
2 approval of the biosimilar product. Then this  
3 allows for potentially a shorter and less costly  
4 drug development program.

5 This is what is meant by an abbreviated  
6 licensure pathway. It is through this reliance,  
7 and it is really an issue of the data package that  
8 is required for approval, which for biosimilar and  
9 interchangeable products is quite extensive.

10 You will hear later today product-specific  
11 information about the analytical and non-clinical  
12 and clinical studies to support a demonstration of  
13 biosimilarity with the reference product.

14 Once a biosimilar or interchangeable product  
15 has been approved by FDA, patients and healthcare  
16 providers can rely on the safety and effectiveness  
17 of that FDA-approved biosimilar or interchangeable  
18 product just as they would the reference product  
19 that the biosimilar was compared to.

20 To walk through some terminology and  
21 definitions that are outlined in the BPCI Act for  
22 you, the BPCI Act states that the biosimilarity

1 means that the reference product is highly  
2 similar -- or that the biological product is highly  
3 similar to the reference product notwithstanding  
4 minor differences in clinically inactive  
5 components, and that there are no clinically  
6 meaningful differences between the biological  
7 product and the reference product in terms of the  
8 safety, purity, and potency of the reference  
9 product.

10 Both of these standards need to be met.  
11 Again, it needs to be highly similar and have no  
12 clinically meaningful differences. It is not one  
13 or the other. It is both for biosimilarity.

14 What do we mean by reference product? The  
15 Act states that the reference product is the single  
16 biological product licensed under 351(a) of the  
17 Public Health Service Act against which a  
18 biological product is evaluated in an application  
19 that's submitted under 351(k) of the Public Health  
20 Service Act.

21 An application that's submitted under 351(a)  
22 of the Public Health Service Act can be referred to



1 as a stand-alone application, and this application  
2 contains all the information and data that is  
3 necessary to demonstrate that the product is safe,  
4 pure, and potent. In contrast, an application that  
5 is submitted under 351(k) of the Public Health  
6 Service Act for a biosimilar or interchangeable  
7 product needs to demonstrate that the proposed  
8 product is biosimilar to the reference product.

9 Again, for licensure, the proposed product  
10 relies on, among other things, comparative data  
11 with the reference product as well as publicly  
12 available information regarding FDA's previous  
13 determination that the reference product is safe,  
14 pure, and potent.

15 While the application under discussion today  
16 is not seeking licensure as an interchangeable  
17 product, it is seeking licensure as a biosimilar  
18 product, the BPCI Act states a product can be  
19 biosimilar to or interchangeable with a reference  
20 product.

21 Interchangeability is described in the Act  
22 that the biological product is biosimilar to the

1 reference product. It can be expected to produce  
2 the same clinical result as the reference product  
3 in any given patient, and for a product that is  
4 administered more than once to an individual, the  
5 risk in terms of safety or diminished efficacy of  
6 alternating or switching between the proposed  
7 product and its reference product is not greater  
8 than the risk of using the reference product  
9 without such alternation or switch.

10 The Act goes on to state that the  
11 interchangeable product may be substituted for the  
12 reference product without the intervention of the  
13 healthcare provider who prescribed the product.

14 Again, just to remind folks, the application  
15 under discussion today is not seeking licensure as  
16 an interchangeable product.

17 The BPCI Act discusses some general  
18 requirements for a biosimilar. The application  
19 needs to include information showing that the  
20 product is biosimilar to the reference product,  
21 that it utilizes the same mechanism or mechanisms  
22 of action for the proposed conditions of use but

1       only to the extent that the mechanisms are known  
2       for the reference product.

3               So it's not incumbent on the biosimilar  
4       applicant to determine the mechanism of action in  
5       isolation, but they do need to provide information  
6       that where this is known, that it does utilize the  
7       same mechanism or mechanisms of action.

8               The conditions of use proposed in labeling  
9       for the proposed product need to have been  
10       previously approved for the reference product;  
11       needs to have the same route of administration,  
12       dosage form, and strength as the reference product;  
13       and where it's manufactured, processed, packed, or  
14       held, that facility needs to meet the FDA standards  
15       to ensure that the product continues to be safe,  
16       pure, and potent. And those standards are no  
17       different for a biosimilar or interchangeable  
18       product than they are for a stand-alone biological  
19       product in terms of the manufacturing standards.

20               The types of data that we would expect in a  
21       351(k) application for a biosimilar or  
22       interchangeable product are also discussed in the

1 Act. In general, the data elements would include  
2 information demonstrating biosimilarity based on  
3 data derived from analytical studies that  
4 demonstrate that the biological product is highly  
5 similar to the reference product notwithstanding  
6 minor differences in clinically inactive  
7 components; animal studies, including the  
8 assessment of toxicity; and a clinical study or  
9 studies, including an assessment of immunogenicity,  
10 pharmacokinetics, or pharmacodynamics that are  
11 sufficient to demonstrate safety, purity, and  
12 potency in one or more appropriate conditions of  
13 use for which the reference product is licensed and  
14 for which licensure is sought for the biosimilar  
15 product.

16 The Act does state that FDA may determine in  
17 its discretion that one of the data elements that  
18 are described above is unnecessary to support a  
19 351(k) application for a proposed biosimilar or  
20 interchangeable product.

21 The PHS Act, as I said, defines reference  
22 product for a 351(k) application as the single

1 biological product licensed under 351(a) of the PHS  
2 Act against which the biological product is  
3 evaluated. However, FDA has taken a regulatory  
4 position that data from animal studies and certain  
5 clinical studies comparing a proposed biosimilar  
6 product with a non-US-licensed product may be used  
7 to support a demonstration of biosimilarity to a  
8 US-licensed reference product.

9           However, the sponsor does need to provide  
10 adequate data or information to scientifically  
11 justify the relevance of these comparative data to  
12 an assessment of biosimilarity and to establish an  
13 acceptable bridge to the US-licensed reference  
14 product. So there has to be an acceptable  
15 essentially three-way bridge between the products  
16 to support such an approach.

17           The type of bridging data would include  
18 direct physical chemical comparison of all three  
19 products in these three pairwise comparisons. It  
20 would likely include a three-way bridge and  
21 clinical PK and/or PD data as well, and all three  
22 pairwise comparisons should meet the prespecified

1 acceptance criteria for analytical and PK and/or PD  
2 similarity to support such an approach.

3 Again, it is incumbent on the sponsor to  
4 justify the extent of comparative data needed to  
5 establish the bridge to the US-licensed reference  
6 product and to support the relevance of the data  
7 that is generated using a non-US-licensed  
8 comparator to a demonstration of biosimilarity with  
9 the US-licensed reference product.

10 When looking at an overview of the FDA's  
11 approach to the development of biosimilars, FDA's  
12 published a number of both final and draft  
13 guidances in different scientific areas to support  
14 the demonstration of biosimilarity and how it is  
15 that we would look at how the data should be  
16 generated and also what would be needed to support  
17 a licensing application.

18 It is a little easier, instead of walking  
19 through individual guidances, to talk about some  
20 key development concepts. The first concept to  
21 understand is that the goal of the stand-alone  
22 development program and the biosimilar development

1 program are different. The stand-alone development  
2 program, its goal is to establish safety and  
3 efficacy of a new product.

4 The type of data that you would be expected  
5 to see in an application coming from a development  
6 program would include analytical information;  
7 chemistry manufacturing controls information about  
8 that product; non-clinical data; animal studies; an  
9 assessment of toxicity; any other animal studies  
10 that would be necessary; clinical pharmacology  
11 studies looking at exposure response; dose-ranging  
12 studies, those types of things; and then clinical  
13 safety and efficacy studies ranging from phase 1 to  
14 phase 3 studies.

15 We would look for a phase 3 clinical study  
16 typically. It could be one with justification that  
17 would support the demonstration of safety and  
18 efficacy in each condition of use for which they're  
19 seeking licensure.

20 In contrast, for the 351(k) pathway for  
21 proposed biosimilar and interchangeable products,  
22 the goal of that development program is to

1 demonstrate biosimilarity or interchangeability to  
2 the reference product. You'll see the same types  
3 of data in terms of the analytical and non-clinical  
4 and clinical pharmacology and additional clinical  
5 studies, but these are all going to be comparative  
6 studies in general.

7           The weight of these studies and how it is  
8 that we use these studies is different because,  
9 again, the purpose of the development program is  
10 different. It is not incumbent upon the biosimilar  
11 to independently demonstrate the safety and  
12 effectiveness of their product. They're  
13 demonstrating biosimilarity through their program.  
14 So this does have an impact on the development  
15 programs and the generation of data.

16           This next key concept is this concept of  
17 stepwise evidence development, and that supports  
18 that pyramid approach of how it is that we look at  
19 the data and the data generation.

20           We've outlined a stepwise approach in our  
21 guidance and in our advice to sponsors. There's an  
22 evaluation of residual uncertainty at each step of



1 the data generation beginning with that foundation  
2 of the analytical comparison. There's also a  
3 totality of the evidence approach in evaluating  
4 biosimilarity. There is no one pivotal study that  
5 demonstrates biosimilarity. Folks think for  
6 stand-alone program pivotal phase 3 safety and  
7 efficacy studies.

8 Here, there's no one study that demonstrates  
9 biosimilarity. There's not a single pivotal study.  
10 It's really this totality of the evidence, all the  
11 similarity data that's generated, these comparisons  
12 to the reference product that supports the  
13 demonstration of biosimilarity.

14 Because of that, there's really no one-size-  
15 fits-all assessment that's happening. The stepwise  
16 approach, you're looking at the evaluation of  
17 residual uncertainty. With any given development  
18 program, you're looking at the data along the way,  
19 what differences have been observed; what are those  
20 potential impacts of the differences?

21 What residual uncertainty do you see as data  
22 is generated based on the comparative data and

1 looking at those differences and the potential  
2 impact? Then what study or studies will address  
3 the residual uncertainty? You want to make sure  
4 that the study that's being conducted is going to  
5 adequately answer the question that is in front of  
6 you.

7 The third key concept is looking  
8 specifically at the analytical similarity data. As  
9 I mentioned, this is the foundation of a biosimilar  
10 development program. And this is where we see  
11 extensive structural and functional  
12 characterization of both the reference product and  
13 the proposed biosimilar product.

14 Folks are familiar in terms of hierarchy of  
15 protein structure. You've got primary structure,  
16 secondary, tertiary, quaternary structure, and all  
17 of this needs to be evaluated within this  
18 analytical assessment.

19 You have heterogeneity. These products are  
20 going to be naturally sourced or produced through a  
21 biotechnology or recombinant technology typically.  
22 So there will be some heterogeneity to that for any

1 biological product that's produced, but that also  
2 needs to be assessed.

3 For a given product, a given biological  
4 product through the manufacturing process in a  
5 biotechnology system, you will also have lot-to-lot  
6 variability. You'll have that for the reference  
7 product. You'll have that for the proposed  
8 biosimilar product. That also needs to be  
9 evaluated for both products as a part of the  
10 analytical assessment.

11 What it is that we're looking at in terms of  
12 the analytical similarity assessment, again, it's  
13 this comprehensive structural and functional  
14 analysis doing a comparative assessment of the  
15 attributes that include a number of factors that  
16 are listed here: looking at amino acid sequence,  
17 heterogeneity, bioactivity, impurities, and looking  
18 for any differences where they need to be assessed  
19 as to their potential impact.

20 Again, there is a functional analysis that  
21 is also done as a part of this, and where a  
22 molecule is known to have multiple biological

1 activities, where feasible, each should be  
2 demonstrated to be highly similar between the  
3 products.

4 So what you're looking for here is  
5 understanding the molecule, its function, and then  
6 identifying the critical quality attributes that  
7 play a role in the function of that product.

8 The biosimilar applicant would first  
9 characterize the reference product quality  
10 characteristics and product variability, and then  
11 they would generate a manufacturing process for  
12 their proposed product that is designed to produce  
13 a product with minimal or no differences in product  
14 quality characteristics compared to the reference  
15 product.

16 However, there may be some differences that  
17 are observed. Those need to be identified, and  
18 then there needs to be a subsequent evaluation of  
19 the potential impact of the differences that are  
20 observed and thought given again in that stepwise  
21 evidence generation of what study or studies will  
22 address the uncertainty that may stem from those

1 differences and assessment of what the potential  
2 impact would be.

3           Again, there needs to be a very good  
4 understanding of the relationship between the  
5 quality attributes and the clinical safety and  
6 efficacy profile. This aids in the ability to  
7 determine residual uncertainty about biosimilarity  
8 from the analytical data and then to predict  
9 expected clinical similarity from the quality data  
10 and think about what additional studies need to be  
11 conducted to support a demonstration of  
12 biosimilarity.

13           FDA has taken an approach regarding a  
14 statistical analysis of the analytical similarity  
15 data. Statistical analyses of the analytical  
16 similarity data are conducted in support of a  
17 demonstration that the products are highly similar.  
18 It is not a pass/fail system. It is an adding to  
19 the robustness of the analytical similarity  
20 assessment, and you will hear a discussion of that  
21 later today as well.

22           Quality attributes are ranked based on

1       criticality with regard to their potential impact  
2       on activity, PK and PD, safety, immunogenicity, and  
3       other factors. Then the data are analyzed by  
4       various testing methodologies based on this ranking  
5       and then what testing methodologies would be  
6       appropriate for a given attribute.

7               In thinking about animal data generated for  
8       a biosimilar program, toxicity data are useful when  
9       there are uncertainties remaining about the safety  
10      of a proposed product prior to initiating clinical  
11      studies, but the scope and extent of animal  
12      studies, including the toxicity studies, will  
13      depend on a number of factors, including the  
14      publicly available information about the reference  
15      product; what is known about the safety profile,  
16      the toxicity of that product; and/or data submitted  
17      in the biosimilar application regarding the  
18      reference product and the proposed biosimilar  
19      product; and the extent of known similarities  
20      between the two.

21              Again, looking at that initial analytical  
22      similarity data, identifying the differences and

1       considering the potential impact of those  
2       differences and whether or not animal studies would  
3       help to address those differences and support a  
4       decision about safely moving ahead with additional  
5       clinical studies.

6               For some products, a comparison of PK or PD  
7       in an animal model may be useful, but that really  
8       depends on the animal model, whether it is a  
9       relevant animal model, and it is going to be  
10       predictive.

11              The next concept is thinking about the role  
12       of clinical studies, again, moving through that  
13       pyramid and that stepwise evidence development.  
14       The nature and scope of clinical studies that are  
15       conducted for a biosimilar development program will  
16       depend on the extent of residual uncertainties  
17       about biosimilarity between the two products after  
18       conducting structural and functional  
19       characterization and where relevant, animal  
20       studies.

21              However, as a scientific matter, FDA does  
22       expect an adequate clinical PK, and PD if relevant,

1 comparison between the proposed product and  
2 reference product. Also, as a scientific matter,  
3 at least one clinical study that includes an  
4 adequate comparison of the immunogenicity of the  
5 proposed product and the reference product will  
6 generally be expected.

7 Then as a scientific matter, a comparative  
8 clinical study will be necessary to support a  
9 demonstration of biosimilarity if there are  
10 residual uncertainties about whether there are  
11 clinically meaningful differences between the  
12 products based again on that structural and  
13 functional characterization, animal testing, and  
14 then now the additive human PK and PD data and  
15 clinical immunogenicity assessment. So again, this  
16 all builds on that stepwise evidence development in  
17 that pyramid that each piece builds upon the next.

18 In thinking about comparative human PK and  
19 PD data, the agency has stated that PK and/or PD is  
20 generally considered to be the most sensitive  
21 clinical study or assay in which to assess for  
22 product differences, should they exist.



1           In looking at PK, the sponsor needs to  
2 demonstrate PK similarity between the products in  
3 an adequately sensitive population that again is  
4 adequately sensitive to detect differences between  
5 the products, if they exist; for PD, looking for  
6 similar pharmacodynamics using measures that  
7 reflect things like the mechanism of action or  
8 reflects the biological effects of the drug because  
9 you're looking for that functional similarity.

10           PK and PD similarity data supports the  
11 demonstration of biosimilarity with the assumption  
12 that similar exposure and pharmacodynamic response,  
13 if it is applicable for a product, will provide  
14 similar safety and efficacy where an exposure  
15 response relationship exists.

16           If a comparative clinical study is necessary  
17 in a program, it should be designed to investigate  
18 whether there are clinically meaningful differences  
19 in safety and efficacy between a proposed product  
20 and the reference product. Again, these are all  
21 comparative studies looking at potential  
22 differences between the products.

1           In designing that study, there are  
2           considerations for the population, endpoints,  
3           sample size, and study duration, and these need to  
4           be adequately sensitive to detect differences  
5           between the product, should they exist.

6           Typically, we would expect an equivalence  
7           design to be used, but other designs could be  
8           justified, depending on the product that we are  
9           discussing and also program-specific considerations  
10          based on the data that we are seeing.

11          Again, there should always be an assessment  
12          of safety and immunogenicity in any clinical study  
13          that is conducted. So if there is a comparative  
14          clinical study that does need to be conducted, it  
15          would be expected that safety and immunogenicity  
16          would also be evaluated in that study.

17          The next key concept deals with  
18          extrapolation. There is the potential for a  
19          biosimilar product to be approved for one or more  
20          conditions of use for which the reference product  
21          is licensed based on extrapolation. However, it is  
22          not a given, and it is incumbent upon the

1 biosimilar applicant to provide sufficient  
2 scientific justification for extrapolation within  
3 their application.

4 Differences between conditions of use such  
5 as indications do not necessarily preclude  
6 extrapolation, but there are a number of factors  
7 that need to be considered in that scientific  
8 support for extrapolation such as the mechanism of  
9 action in each condition of use, the PK and  
10 biodistribution in different patient populations,  
11 immunogenicity differences in different patient  
12 populations, and differences in expected toxicities  
13 in each condition of use in patient populations.  
14 That scientific justification needs to address all  
15 of these factors and provide adequate support for  
16 extrapolation.

17 One way to look at it as what's shown here  
18 on this slide is looking at the stand-alone drug  
19 development. Again, you have clinical safety and  
20 efficacy data. We expect a phase 3 trial to  
21 support safety and efficacy in each condition of  
22 use for which licensure is sought. And that's what

1 the reference product would have done.

2 In considering extrapolation in a biosimilar  
3 development program, there is all of this  
4 comparative data that is generated comparing the  
5 proposed product to the reference product; the  
6 analytical comparisons; possible animal study  
7 comparisons; clinical pharmacology looking at  
8 demonstrating PK and PD similarity; and then  
9 additional clinical studies, which would include  
10 the assessment of immunogenicity, comparative  
11 immunogenicity, and then possibly data from a  
12 comparative clinical study in one or more  
13 conditions of use.

14 You take all of that data, and then you look  
15 at the concept of extrapolation from information  
16 that would be contained in the 351(k) application  
17 as well as FDA's finding for the reference product,  
18 looking at extrapolating from that information to  
19 other indicators previously approved for the  
20 reference product, considering again those factors  
21 that I outlined in the previous slide.

22 Biosimilar extrapolation is based on all the

1 available data that is in the 351(k) BLA; all of  
2 that comparative data comparing the proposed  
3 product and the reference product; and FDA's  
4 finding for the reference product from the clinical  
5 safety and efficacy studies that were shown above;  
6 and again, FDA's finding that the reference product  
7 is safe, pure, and potent.

8 Extrapolation is not from the indications  
9 studied in a 351(k) application for the biosimilar  
10 to non-studied indications. It is really looking  
11 at, again, that totality of the evidence, all of  
12 that comparative data, as well as FDA's previous  
13 findings regarding the reference product, and that  
14 is what supports extrapolation in addition to the  
15 justification.

16 In summary, the development of a biosimilar  
17 product is different from a stand-alone product.  
18 Again, the goal is to demonstrate biosimilarity,  
19 which is that the products are highly similar with  
20 no clinically meaningful differences. The goal of  
21 that program is not to reestablish safety and  
22 effectiveness in de novo.

1           The analytical comparisons are the  
2 foundation for determining whether the products are  
3 highly similar, which again is that first prong of  
4 biosimilarity. Clinical PK and/or PD data is  
5 generally considered the most sensitive endpoint  
6 for detecting differences between the products, and  
7 assessment of immunogenicity is also needed. Then  
8 a comparative clinical study may be needed if  
9 questions remain or there is lingering  
10 uncertainties regarding whether there is clinically  
11 meaningful differences between the products.

12           Approval of the proposed biosimilar product  
13 is based on the integration of various information  
14 and its totality of the evidence approach, again,  
15 with that stepwise evidence development, each  
16 building on the next. This is evidence that is  
17 generated by the biosimilar applicant to provide  
18 the overall assessment of biosimilarity.

19           Again, FDA's high standard for approval of  
20 biosimilar and interchangeable products at the end  
21 of the day, again, it is not an abbreviated  
22 approval standard; it is an abbreviated licensure

1 pathway. And so that means when FDA licenses a  
2 product, folks can be confident that the safety and  
3 effectiveness of the approved product, the  
4 biosimilar or the interchangeable product, they can  
5 rely on that just as they would the reference  
6 product.

7 With that, we have some time for any  
8 clarifying questions about the regulatory pathway  
9 in terminology, expectations, again, not product-  
10 related questions, but just general questions.

11 **Clarifying Questions to the Presenter**

12 DR. RINI: Thanks. Dr. Lewis?

13 DR. LEWIS: On page 42 of the sponsor's  
14 thing, they quote you or your documents saying that  
15 "if the reference product has a long relatively  
16 safe marketing history and there have been multiple  
17 versions of the reference product on the market  
18 with no apparent differences in safety and  
19 effectiveness, this would be an appropriate drug to  
20 approach biosimilar."

21 Despite the data with -- and I am going to  
22 slaughter this name because I never say it

1 right -- peginesatide, did you guys determine  
2 that -- because you don't comment on it. Did you  
3 determine that that was true?

4 DR. CHRISTL: The biosimilar pathway is open  
5 to any biological products, and then it would be  
6 the reference product here is licensed by FDA. It  
7 has its own safety and effectiveness profile that  
8 is there, and so they're demonstrating  
9 biosimilarity to that product.

10 It is not to say that any product that FDA  
11 licenses doesn't have safety issues. Every  
12 approval that we make is a risk-benefit decision  
13 where the decision is made that the benefit  
14 outweighs the risk.

15 So yes, any of these products, there may be  
16 associated safety issues, but it is not to say that  
17 is not appropriate to develop as a biosimilar  
18 product.

19 DR. LEWIS: I think my question was slightly  
20 different. I think it says here that if there are  
21 multiple versions of the existing product on the  
22 market and they don't all have the same safety



1 profile, perhaps that is not appropriate for a  
2 biosimilar, or did I misinterpret that statement?

3 DR. RINI: Angelo?

4 DR. de CLARO: This is Angelo de Claro with  
5 FDA. The statement there are multiple versions of  
6 a product, peginesatide, we would not consider that  
7 as a different version of this product. We  
8 consider each -- that would be its own reference  
9 product.

10 For this one, the reference product is  
11 defined as US-licensed Epogen/Procrit. That's what  
12 we're relying on for this particular application.

13 DR. LEWIS: Okay. So because of the  
14 different amino acid composition, et cetera, you  
15 thought that was sufficiently different to not be  
16 considered?

17 DR. de CLARO: Yes. We can certainly  
18 consider if it is within the class of  
19 erythropoiesis-stimulating agents regarding safety  
20 and efficacy profiles based on understanding, but  
21 within the context of biosimilarity, it is always  
22 pegged to one specific product.

1 DR. LEWIS: Thank you.

2 DR. RINI: Thank you. Oh, you have another  
3 one? Sure, go ahead.

4 DR. LEWIS: Also, in the sponsor's material,  
5 they share with us information about the worldwide  
6 use of this product, which is quite extensive, and  
7 I didn't notice anywhere -- and you don't refer to  
8 it in your documents. I didn't notice anywhere  
9 where you comment on that.

10 Are we not to consider that information?

11 DR. de CLARO: Angelo de Claro again. I  
12 think that question, if you could pose that later  
13 during the FDA and sponsor presentations, we could  
14 provide a better context to answer that question.  
15 Thank you.

16 DR. LEWIS: Thank you.

17 DR. RINI: I just had a quick question, and  
18 I know it's not relevant to this application, but  
19 the difference between interchangeability and  
20 biosimilarity?

21 DR. CHRISTL: Again, the biosimilarity  
22 standard is that the products are highly similar

1 with no clinically meaningful differences. For  
2 interchangeability, there are additional statutory  
3 standards that need to be met in terms of a  
4 showing. So not only do the products need to  
5 demonstrate that they are biosimilar, so meet that  
6 highly similar with no clinically meaningful  
7 differences standard, but also support a showing in  
8 their application that it can be expected to  
9 produce the same clinical result in any given  
10 patient and that the impact of switching or  
11 alternating between the products as compared to  
12 just staying on the reference product is evaluated  
13 and supported.

14 Again, the Act goes on to state that an  
15 interchangeable product may be substituted for the  
16 reference product without the intervention of the  
17 prescriber.

18 DR. RINI: Any there other questions from  
19 the committee for Dr. Christl?

20 (No response.)

21 DR. RINI: Thank you.

22 We will now proceed with additional FDA

1 opening remarks from Dr. de Claro.

2 **Opening Remarks - Angelo de Claro**

3 DR. de CLARO: Good morning. We are here  
4 today to discuss an application for Epoetin  
5 Hospira, a proposed biosimilar to US-licensed  
6 Epogen/Procrit. During my presentation, I will use  
7 the term US-Epogen to describe US-licensed  
8 Epogen/Procrit.

9 This application is being presented at  
10 today's advisory committee meeting because this  
11 represents the first FDA application for a proposed  
12 biosimilar to US-Epogen. The proposed indications  
13 for Epoetin Hospira are the same as for US-Epogen.  
14 The approved indications for US-Epogen and the year  
15 of FDA approval are shown on the table.

16 The initial approval for US-Epogen occurred  
17 in 1989. The indications listed on the table  
18 reflect the current wording of the approved  
19 indications. The wording of the indications have  
20 changed, specifically indication 1 and 3, due to  
21 revisions based on efficacy and safety results from  
22 multiple clinical trials.

1           FDA has identified four key topics for the  
2 advisory committee to consider for today's meeting.  
3 The first topic is to discuss whether Epoetin  
4 Hospira is highly similar to US-Epogen,  
5 notwithstanding minor differences in clinically  
6 inactive components, based on evidence from  
7 analytical studies.

8           FDA notes that the applicant used multiple  
9 orthogonal physicochemical, and functional methods  
10 to characterize the primary, secondary, and  
11 tertiary structure; post-translational  
12 modification; biological activity; and stability  
13 profiles.

14           The second topic to consider would be to  
15 discuss whether there are no clinically meaningful  
16 differences between Epoetin Hospira and US-Epogen  
17 in terms of safety, purity, and potency based on  
18 the results from the clinical studies. The  
19 applicant conducted comparative clinical studies in  
20 healthy subjects and in patients with chronic  
21 kidney disease and evaluated the following  
22 parameters: pharmacokinetics, pharmacodynamics,

1 efficacy, safety, and immunogenicity.

2 The comparative clinical studies are  
3 summarized in this table. Details on the study  
4 design, route of administration, study population,  
5 endpoints, and results will be discussed by both  
6 the applicant and the FDA.

7 Because the applicant conducted the clinical  
8 studies in healthy subjects and patients with  
9 chronic kidney disease, FDA requests discussion  
10 whether there is adequate scientific justification  
11 to support licensure for all of the proposed  
12 indications. The applicant provided scientific  
13 justification, which includes discussion of the  
14 mechanism of action and similarity with regards to  
15 product quality attributes, pharmacokinetics,  
16 pharmacodynamics, immunogenicity, efficacy, and  
17 safety.

18 Finally, FDA requests the committee to vote  
19 whether the totality of evidence supports licensure  
20 of Epoetin Hospira as a biosimilar product to  
21 US-licensed Epogen/Procrit for the indications for  
22 which US-licensed Epogen/Procrit is currently

1 licensed and for which the applicant is seeking  
2 licensure.

3 Thank you for your participation today. FDA  
4 looks forward to hearing the committee's feedback  
5 and insights regarding the Epoetin Hospira  
6 application.

7 DR. RINI: Thank you.

8 Both the FDA and the public believe in a  
9 transparent process for information-gathering and  
10 decision-making. To ensure such transparency at  
11 the advisory committee meeting, FDA believes it is  
12 important to understand the context of an  
13 individual's presentation.

14 For this reason, FDA encourages all  
15 participants, including sponsor's nonemployee  
16 presenters, to advise the committee of any  
17 financial relationships that they may have with the  
18 firm at issue such as consulting fees, travel  
19 expenses, honoraria, and interest in the sponsor,  
20 including equity interest and those based on the  
21 outcome of this meeting.

22 Likewise, FDA encourages you at the

1 beginning of your presentation to advise the  
2 committee if you do not have any such financial  
3 relationships. If you choose not to address this  
4 issue of financial relationships at the beginning  
5 of your presentation, it will not preclude you from  
6 speaking.

7 We will now proceed with the applicant's  
8 presentation.

9 **Applicant Presentation - Sumant Ramachandra**

10 DR. RAMACHANDRA: Good morning, Dr. Rini,  
11 members of today's advisory committee, and members  
12 of the FDA. I am Sumant Ramachandra, senior vice  
13 president at Pfizer.

14 We are pleased to be here to present our  
15 proposed epoetin alfa biosimilar, which we will  
16 refer to Epoetin Hospira. We are seeking approval  
17 of Epoetin Hospira as a biosimilar to the U.S.  
18 reference product Epogen and Procrit, first  
19 approved by the FDA nearly 30 years ago.

20 Please note that we are currently not  
21 seeking an interchangeability designation. We are  
22 seeking approval of Epoetin Hospira for all four



1       Epogen/Procrit indications. Three indications are  
2       to treat anemia. The final indication is to reduce  
3       the need for red blood cell transfusion.

4               The development and manufacturing of Epoetin  
5       Hospira was based on our highly related epoetin  
6       product in Europe called Retacrit. This was  
7       approved as a biosimilar in December of 2007 and  
8       has been in the market for over 9 years with more  
9       than 363,000 patient-years of treatment  
10       administered.

11               The drug substance, also known as the active  
12       ingredient for Epoetin Hospira, originated from the  
13       development of our biosimilar approved in Europe,  
14       which we will refer to as EU Retacrit, and utilizes  
15       the same cell line, growth medium, and purification  
16       manufacturing processes.

17               The BLA for Epoetin Hospira is for a US-only  
18       program licensure and is not reliant on a bridge to  
19       EU Retacrit. The development of Epoetin Hospira  
20       follows the same stepwise approach outlined in FDA  
21       guidance to establish biosimilarity. FDA input was  
22       sought and incorporated across the development

1 program.

2           The Epoetin Hospira data package is  
3 foundationally based on a comprehensive  
4 characterization of the protein structure, physical  
5 chemical properties, and biological function. Two  
6 13-week repeat-dose comparative toxicity studies  
7 were conducted in rats and dogs using subcutaneous  
8 and intravenous routes of administration,  
9 respectively.

10           The Epoetin Hospira data package also  
11 includes two comparative pharmacokinetic and  
12 pharmacodynamic studies with subcutaneous  
13 administration, 1 with single dose, and the other  
14 with multiple dose. Two double-blind randomized  
15 controlled studies comparing Epoetin Hospira to  
16 Epogen were conducted using subcutaneous or  
17 intravenous administration in patients with chronic  
18 kidney disease on dialysis.

19           FDA guidance outlines the specific  
20 scientific considerations that should be addressed  
21 to support extrapolation. This justification is  
22 based on the historical studies and extensive

1 knowledge of Epogen/Procrit as well as the totality  
2 of evidence demonstrating biosimilarity.

3 As we will review in today's presentation,  
4 the totality of evidence in the Epoetin Hospira  
5 development program demonstrates biosimilarity and  
6 supports extrapolation to all Epogen/Procrit  
7 indications.

8 For our agenda this morning, Dr. Vanden Boom  
9 will review the analytical biosimilarity  
10 assessment, then Dr. Martin will describe the  
11 results of our comparative nonclinical, clinical  
12 pharmacology, and clinical studies. Finally, I  
13 will conclude with a scientific justification  
14 supporting biosimilarity and extrapolation across  
15 all indications.

16 We also have some external responders with  
17 us here today to help answer questions. All  
18 external experts have been compensated for their  
19 time and travel.

20 I will now invite Dr. Vanden Boom to the  
21 podium to present the analytical biosimilarity  
22 assessment.

1                   **Applicant Presentation - Thomas Vanden Boom**

2                   DR. VANDEN BOOM: Thank you. I'm Tom Vanden  
3 Boom, vice president of biosimilars, pharmaceutical  
4 sciences for Pfizer. As highlighted by Dr.  
5 Ramachandra, analytical studies provide the  
6 foundation for the biosimilarity assessment.

7                   The analytical studies evaluated the  
8 similarity of physical chemical structure and  
9 function between Epoetin Hospira and the  
10 Epogen/Procrit reference product as part of the  
11 overall assessment of biosimilarity.

12                  Specifically, what I would like to briefly  
13 cover is a summary of the Epoetin Hospira and  
14 reference product lots included in this assessment;  
15 an overview of the analytical methods used in the  
16 Epoetin Hospira biosimilarity assessment; and  
17 results from the biosimilarity assessment,  
18 including the results from bioassays used to  
19 evaluate the bioactivity or functional activity of  
20 the Epoetin Hospira product.

21                  Let me start with a brief overview of the  
22 lots used in the biosimilarity assessment. The

1 biosimilarity assessment included testing of a  
2 significant number of Epoetin Hospira and reference  
3 product lots, as shown in this table. Thirty-three  
4 state-of-the-art analytical methods, listed here by  
5 category, were developed to comparatively examine  
6 product attributes related to primary structure,  
7 secondary, and tertiary structure,  
8 post-translational modification, product-related  
9 substances and impurities, drug product  
10 characteristics, and the functional activity of the  
11 epoetin protein present in the two products.

12           Wherever possible, complementary orthogonal  
13 methods were developed and used to provide a more  
14 comprehensive comparison of product attributes in  
15 the analytical biosimilarity assessment. The  
16 breadth of the analytical methods used, along with  
17 the significant number of lots included in the  
18 biosimilarity assessment, enabled a comprehensive  
19 understanding of the analytical similarity between  
20 Epoetin Hospira and the reference product.

21           The key molecular features of epoetin  
22 examined in the comparative analytical studies

1 include the primary structure, secondary structure,  
2 tertiary structure, and post-translational  
3 modifications of the protein. As part of the  
4 overall requirements for biosimilars, primary  
5 structure is expected to be the same as the  
6 reference product.

7           Epoetin Hospira was demonstrated to have an  
8 identical primary structure or amino acid backbone,  
9 as shown in this slide, to the reference product.  
10 Structurally, the disulfide linkages that  
11 contribute to the proper folding of the epoetin  
12 protein in Epoetin Hospira, highlighted in yellow  
13 in this slide, were also demonstrated to be  
14 identical to the reference product.

15           Finally, the sites of N and O-linked  
16 glycosylation, specifically 3 asparagine amino acid  
17 residues and 1 serine amino acid residue, again  
18 highlighted in yellow, are also identical between  
19 Epoetin Hospira and the reference product.

20           Turning now to the comparative analysis of  
21 higher-order structure, which includes secondary  
22 and tertiary structure, secondary and tertiary

1 structural elements of the epoetin protein were  
2 examined using a complementary set of spectral  
3 methods that together support the highly similar  
4 structure of Epoetin Hospira to the Epogen/Procrit  
5 reference product. These methods measure various  
6 spectral signatures sensitive to changes in  
7 higher-order structure.

8 I will briefly review the results from the  
9 subset of spectral methods shown here. The results  
10 from additional spectral methods are included in  
11 the briefing book. Let's begin with the methods  
12 used to examine secondary structure.

13 This slide shows the comparative FAR-UV  
14 circular dichroism traces of Epoetin Hospira and  
15 Epogen/Procrit. Using this method, alpha helix,  
16 beta sheet, and random coil protein secondary  
17 structures each give rise to a characteristic shape  
18 and magnitude of circular dichroism spectrum. The  
19 spectra for both products are consistent with the  
20 expected 4-helix bundled structure of epoetin.

21 Fourier-transform infrared spectroscopy also  
22 demonstrates similarity of secondary structure.

1 The FTIR measures the absorption of radiation in  
2 the infrared region of the spectrum. Each protein  
3 has a characteristic set of absorption bands in its  
4 infrared spectrum. The comparative FTIR traces  
5 provide a complementary demonstration that these  
6 structural elements are similar between the two  
7 products.

8 Moving to the comparison of the tertiary  
9 structure, which also shows a high degree of  
10 similarity between Epoetin Hospira and the  
11 reference product, the overlapping spectra and  
12 characteristic maxima, corresponding to the near UV  
13 signals for the tryptophan, tyrosine, and  
14 phenylalanine amino acid residues, provides a  
15 measure of the similarity in the microenvironments  
16 of these amino acid residues in the folded epoetin  
17 protein present in the two products.

18 Taken together, the results from the  
19 complementary spectral methods used in the  
20 analytical biosimilarity assessment demonstrate  
21 that the higher-order structure of the epoetin  
22 protein present in Epoetin Hospira is similar to



1 that of the reference product.

2 Another important physical chemical feature  
3 examined in the analytical biosimilarity assessment  
4 is N-linked glycosylation. Glycosylation involves  
5 the covalent addition of carbohydrates to the  
6 protein and represents an important structural  
7 feature of the epoetin protein.

8 A key glycosylation attribute examined in  
9 the analytical biosimilarity assessment was total  
10 sialic acid. Increased sialylation is known to  
11 reduce in vivo clearance of epoetin, resulting in a  
12 longer half-life.

13 The measured total sialic acid content for  
14 lots of Epoetin Hospira and Epogen/Procrit are  
15 shown in this figure. The dashed horizontal lines  
16 represent the mean of the reference product plus or  
17 minus 3 standard deviations. These data  
18 demonstrate that total sialic acid is similar  
19 between the two products.

20 Let me now turn to a comparison of high  
21 molecular weight species, a key product attribute.  
22 It is important to note that the Epoetin Hospira

1 manufacturing process was designed to tightly  
2 control high molecular weight species. This  
3 attribute is important due to the potential for  
4 product aggregates and other high molecular weight  
5 species to be immunogenic.

6 In order to support the analytical  
7 biosimilarity assessment, a quantitative Western  
8 blot method was developed to measure  
9 epoetin-related high-molecular weight species. The  
10 Western blot figures in this slide show the  
11 relative levels of epoetin monomer and high  
12 molecular weight species in representative lots of  
13 Epoetin Hospira and Epogen. The percentage of  
14 epoetin-related high molecular weight species in  
15 each lot is determined using densitometry.

16 The measured levels of high molecular weight  
17 species in Epoetin Hospira are similar to or lower  
18 than those of the Epogen/Procrit reference product,  
19 as shown in the table at the bottom of this slide.

20 Another important product attribute is  
21 epoetin protein content, which also shows high  
22 similarity to the reference product. The epoetin

1 content target for Epoetin Hospira was defined and  
2 specifications established based on the epoetin  
3 content results observed for the Epogen/Procrit  
4 reference product.

5 The epoetin protein content for the Epoetin  
6 Hospira drug product lots produced using the  
7 proposed commercial manufacturing process are shown  
8 here along with the Epogen/Procrit reference  
9 product results. The results for all of the  
10 Epoetin Hospira lots produced using the commercial  
11 manufacturing process are within the observed range  
12 of the reference product.

13 It is important to note that this method is  
14 capable of detecting very minor differences in  
15 protein content that are not biologically relevant.

16 As shown in the right panel, which provides  
17 the in vivo bioassay results for the same set of  
18 Epoetin Hospira and reference product lots, these  
19 minor differences in protein content do not result  
20 in meaningful differences in in vivo biopotency.

21 Turning now to the evaluation of the  
22 functional attributes of Epoetin Hospira, the

1 functional activity of Epoetin Hospira was  
2 evaluated in the analytical biosimilarity  
3 assessment using multiple complementary bioassay  
4 methods. These include in vivo biopotency,  
5 in vitro biopotency, and receptor binding. In  
6 addition, the kinetics of epoetin binding to the  
7 epoetin receptor was determined using surface  
8 plasma and resonance.

9 The most clinically relevant analytical  
10 functional measure of the epoetin protein is the  
11 in vivo biopotency assay. The graphic here shows  
12 the epoetin stimulation of the red blood cell  
13 maturation process beginning with pluripotent stem  
14 cells and ending with red blood cells.

15 The in vivo biopotency method measures the  
16 pharmacodynamic response of a epoetin in  
17 normocythemic mice at the point in the red blood  
18 cell maturation pathway highlighted by the yellow  
19 arrow. Specifically, the number of reticulocytes  
20 in peripheral blood is measured in the bioassay.  
21 This is the same measure used to support clinical  
22 studies.

1           Statistical testing showed equivalence in  
2           in vivo biopotency between Epoetin Hospira and the  
3           reference product. Analytical equivalence for the  
4           in vivo biopotency attribute was demonstrated based  
5           on the constructed 90 percent confidence interval  
6           around the mean difference, shown by the red  
7           interval, falling within the equivalence margins  
8           established at plus or minus 1.5 times the standard  
9           deviation of the reference product, shown by the  
10          vertical dashed lines. The dataset used in this  
11          analysis is shown in the right panel for reference.

12           Importantly, this result demonstrates that  
13          the physical chemical similarity between Epoetin  
14          Hospira and the reference product results in  
15          similar biological activity.

16           We also looked at cell proliferation. The  
17          in vitro cell-based assay measures the epoetin  
18          dependent proliferation of the human UT-7 cell line  
19          resulting from epoetin receptor-binding and signal  
20          transduction, analogous to the epoetin-dependent  
21          initiation step of the red blood cell maturation  
22          cascade, shown by the yellow arrow. This attribute

1 is normalized and expressed as specific activity,  
2 which provides the inherent biological activity of  
3 the molecule.

4 Statistical equivalence testing was  
5 performed as described previously. Again, the  
6 dataset used in this analysis is shown in the right  
7 panel for your reference.

8 These results demonstrate that the physical  
9 chemical similarity observed between the two  
10 products also results in similar in vitro cell-  
11 based functional activity of the epoetin protein  
12 present in Epoetin Hospira.

13 Moving to receptor binding, receptor binding  
14 of the epoetin protein present in Epoetin Hospira  
15 was also demonstrated to be similar to the  
16 reference product. This was evaluated using a  
17 competitive receptor-binding method. This method  
18 measures the competitive binding of the epoetin  
19 protein present in either Epoetin Hospira or the  
20 reference product to an immobilized epoetin  
21 receptor. Relative potency is determined by  
22 comparing the dose response for the test sample to

1 the dose response of a well-characterized  
2 biological reference standard.

3 The close overlay of the dose-response  
4 curves for Epoetin Hospira and the reference  
5 product in the receptor-binding assay provides  
6 another indication that the epoetin protein present  
7 in these two products is similar.

8 Finally, the receptor-binding kinetics of  
9 the epoetin protein present in Epoetin Hospira and  
10 the reference product were examined using a Surface  
11 Plasmon Resonance or SPR method. This method  
12 permits the determination of the receptor-binding  
13 on and off rates for the two products. The results  
14 demonstrate that the receptor-binding kinetics are  
15 similar between Epoetin Hospira and the reference  
16 product.

17 These results provide further evidence that  
18 the higher-order structure required for  
19 receptor-binding and functional activity is similar  
20 between the two products.

21 In summary, based on the comprehensive  
22 analytical biosimilarity assessment completed,

1 Epoetin Hospira was demonstrated to be analytically  
2 highly similar to the Epogen/Procrit reference  
3 product. As expected, the similar physical  
4 chemical and higher-order structural features of  
5 Epoetin Hospira resulted in highly similar  
6 functional, biological activity, receptor-binding,  
7 and specific activity of the epoetin protein  
8 present in Epoetin Hospira.

9 I will now turn it over to Dr. Martin to  
10 review the Epoetin Hospira nonclinical and clinical  
11 studies.

12 **Applicant Presentation - Nancy Martin**

13 DR. MARTIN: Good morning. I'm Dr. Nancy  
14 Martin, consultant to Pfizer, previously vice  
15 president of clinical development biosimilars at  
16 Hospira, a Pfizer company.

17 Our nonclinical evaluation included two  
18 13-week comparative toxicity studies in rats and  
19 dogs. We've examined toxicology, immunogenicity,  
20 toxicokinetics, and pharmacodynamics in both  
21 species. The key toxicology findings demonstrate  
22 similar gross and microscopic pathology between the



1 two treatment groups in both species, consistent  
2 with epoetins.

3 In rat, under sub-Q conditions, the  
4 comparative immunogenicity was influenced by human  
5 serum albumin as an excipient in the reference  
6 product formulation. The immunogenic response in  
7 rat is higher with the reference product. As such,  
8 the toxicokinetics and pharmacodynamic data are  
9 confounded by the differential immunogenic response  
10 in the rat.

11 This was not seen in the dog in the IV  
12 study, which demonstrated consistent  
13 immunogenicity, toxicokinetics, and  
14 pharmacodynamics. Importantly, any nonclinical  
15 differences noted in the rat did not translate to  
16 humans in PK/PD or immunogenicity.

17 The clinical studies provide more suitable  
18 conditions than nonclinical models to assess the  
19 comparative PK, PD, and immunogenicity. As you  
20 will see, the clinical pharmacology studies show  
21 PK/PD equivalence in humans.

22 Let's now look at the comparative

1 pharmacology data. The PK/PD studies are the most  
2 discerning clinical studies to detect in vivo  
3 performance differences in the drug products should  
4 they exist. We conducted two clinical pharmacology  
5 studies to demonstrate pharmacokinetic and  
6 pharmacodynamic equivalence as shown here.

7 Both studies evaluated subcutaneous  
8 administration as a sensitive route to assess  
9 differences in pharmacokinetics, pharmacodynamics,  
10 and immunogenicity. Let's first look at the  
11 single-dose crossover study.

12 This study randomized 81 healthy male  
13 subjects to receive either a single 100-unit per  
14 kilo dose of Epoetin Hospira or Epogen in a  
15 crossover fashion. When the single-dose  
16 concentration time profiles are displayed for  
17 Epoetin Hospira and Epogen, we see similar mean  
18 concentration time profiles.

19 As highlighted in yellow, the 90 percent  
20 confidence intervals of the geometric mean ratios  
21 for both AUC and Cmax were completed contained  
22 within the prespecified acceptance limits of 80 to

1 125 percent, consistent with FDA guidance for  
2 industry regarding clinical pharmacology data for  
3 biosimilars. Based on these data, PK equivalence  
4 was established under single-dose conditions.

5 In addition, the reticulocyte count profiles  
6 following single-dose administration also showed  
7 similar profiles. Reticulocyte count is a  
8 well-known marker directly reflective of the  
9 mechanism of action of epoetin and is measurable  
10 after single-dose administration.

11 The 90 percent confidence intervals of the  
12 geometric mean ratio for reticulocyte count for  
13 area under the effect curve and Emax, again,  
14 highlighted in yellow, are completely contained  
15 within the prespecified acceptance limits,  
16 demonstrating single-dose pharmacodynamic  
17 equivalents of Epoetin Hospira and Epogen.

18 Let's move to the multiple-dose PK/PD study.  
19 This study was an open label randomized parallel  
20 group design that evaluated pharmacokinetic and  
21 pharmacodynamic equivalence under multiple-dose  
22 conditions. 129 healthy males were randomized to

1 receive 12 doses of 100 units per kilo of study  
2 drug over 4 weeks. The epoetin concentration time  
3 profiles are similar between Epoetin Hospira and  
4 Epogen following multiple-dose administration.

5 Pharmacokinetic equivalence was established  
6 when the 90 percent confidence intervals for the  
7 geometric mean ratios for AUC and Cmax were both  
8 entirely contained within the predefined 80 to  
9 125 percent equivalence margin.

10 As highlighted in yellow, these data are  
11 within the prespecified acceptance limits. This  
12 establishes multiple-dose PK equivalence of Epoetin  
13 Hospira and Epogen under multiple fixed-dose  
14 conditions. In addition, examination of the  
15 hemoglobin concentration time profiles after  
16 multiple-dose administration demonstrate similar  
17 profiles for Epoetin Hospira and Epogen.

18 Hemoglobin is an established marker that  
19 reflects the known mechanism of action of epoetin  
20 on erythropoietic response and is used clinically  
21 to titrate dose to therapeutic effect.

22 The pharmacodynamic equivalence margin was

1 predefined per protocol as the area under the  
2 effect curve for hemoglobin of 96.5 to  
3 103.5 percent. The acceptance limits were informed  
4 by entry criteria hemoglobin values of  
5 approximately 14.2 grams per deciliter and a  
6 clinically relevant change in hemoglobin of a half  
7 gram per deciliter for pharmacodynamic equivalence.

8           Highlighted in yellow, the 90 percent  
9 confidence intervals for area under the effect  
10 curve for hemoglobin were completely contained  
11 within the acceptance limits, demonstrating  
12 pharmacodynamic equivalence under multiple-dose  
13 conditions.

14           Let's now turn to the mechanism of action of  
15 epoetin, which is conserved across all conditions  
16 of use. Erythropoietin synthesized and released  
17 from the kidney regulates red blood cell mass in  
18 response to tissue hypoxia as found in anemia. Per  
19 reference product labeling, epoetin stimulates  
20 erythropoiesis by the same mechanism as endogenous  
21 erythropoietin. This is independent of whether the  
22 epoetin deficiency is relative or absolute across

1       indications.

2               Fortunately, there are direct measures of  
3 erythropoiesis, specifically reticulocyte count and  
4 hemoglobin, that are clinically available in  
5 widespread use. Importantly, Epoetin Hospira has  
6 demonstrated pharmacokinetic and pharmacodynamic  
7 equivalence to the reference product using these  
8 measures under strict discerning conditions in  
9 healthy subjects, which is foundational across all  
10 conditions of use.

11              Let me now review the comparative clinical  
12 study data, which further support biosimilarity. I  
13 will first discuss the efficacy data.

14              Two double-blind randomized controlled  
15 clinical studies were conducted in the United  
16 States to demonstrate equivalence of Epoetin  
17 Hospira to Epogen in a patient population with  
18 chronic kidney disease on hemodialysis.

19              The primary study was a comparative sub-Q  
20 efficacy and safety study. The additional  
21 supportive study was a comparative IV study. Both  
22 studies included an option for patients who

1 completed study to enroll into long-term open label  
2 studies where subjects received Epoetin Hospira  
3 treatment.

4 The eligibility criteria aligned with  
5 epoetin guidelines, clinical trial precedent for  
6 epoetins, and labeling for appropriate patient  
7 selection. Key criteria are shown. In order to be  
8 randomized in these hemoglobin maintenance trials,  
9 patients needed stable hemoglobin levels using  
10 stable Epogen doses.

11 Let me first begin by describing the sub-Q  
12 study. A dose stabilization period was built into  
13 the sub-Q design for patients previously receiving  
14 IV epoetin to establish a stable baseline.  
15 Patients already stable on sub-Q dosing of Epogen  
16 could be directly randomized into the 16-week  
17 maintenance period.

18 The study results were derived from the  
19 final 4 weeks of each patient's maintenance period,  
20 which included hemoglobin level and study drug dose  
21 as the co-primary endpoints. Many key elements of  
22 the clinical trial design were consistent between

1 the sub-Q and the IV studies.

2 Let me now review the IV study. The IV  
3 study consisted of a maintenance period, again  
4 shown by the red box, as no dose stabilization was  
5 needed. This study used the same co-primary  
6 endpoints as the sub-cutaneous study. Both studies  
7 assessed efficacy equivalents based on the  
8 co-primary endpoints of mean weekly hemoglobin  
9 levels and mean weekly study drug dose during the  
10 last 4 weeks of each patient's maintenance phase.

11 A determination of similar efficacy was made  
12 if the 95 percent confidence intervals for both  
13 co-primary endpoints were entirely contained within  
14 the protocol-defined prespecified equivalence  
15 margins.

16 In 2017, during the BLA review, FDA  
17 requested the 90 percent confidence intervals. I  
18 will present the 90 percent confidence intervals  
19 here. Both sets of results can be found in the  
20 briefing book.

21 The equivalence margins were based on  
22 published hemoglobin data and treatment targets, as



1 well as published epoetin dosing data in patients  
2 with chronic kidney disease on hemodialysis.  
3 Specifically, the prespecified hemoglobin  
4 equivalence margin was plus or minus 0.5 grams per  
5 deciliter, and the prespecified dose equivalence  
6 margin was plus or minus 45 units per kilo per  
7 week.

8 An ANCOVA model with appropriate baseline  
9 values as covariates was used to calculate the  
10 confidence intervals for the least squares means of  
11 the differences between Epoetin Hospira and Epogen  
12 for the two co-primary efficacy endpoints.

13 The study disposition was similar between  
14 treatment groups in the comparative sub-Q efficacy  
15 and safety study. A similar proportion of patients  
16 discontinued study. The disposition of patients in  
17 the IV study was also similar.

18 The demographics of patients with CKD were  
19 similar between treatment groups within each study  
20 and between the two studies. The demographics of  
21 the studies are representative of the chronic  
22 kidney disease on hemodialysis population in the

1 United States.

2 We also see consistency in baseline  
3 hemoglobin, epoetin dose, and adequate IM stores  
4 between the treatment groups in each study, as well  
5 as other common baseline characteristics. In at  
6 least 80 percent of the patients, the etiology of  
7 renal failure was secondary to hypertension or  
8 diabetes. Overall, the demographics and baseline  
9 characteristics align with those seen among  
10 patients with chronic kidney disease on  
11 hemodialysis.

12 Let's look at the primary efficacy results  
13 for the intent-to-treat population. In the  
14 subcutaneous study, the 90 percent confidence  
15 interval for the difference in hemoglobin was minus  
16 0.13 to plus 0.21 grams per deciliter, and for dose  
17 was minus 12.54 to plus 7.85 units per kilo per  
18 week, as highlighted in yellow on the slide.

19 Both 90 percent confidence intervals for the  
20 co-primary endpoints were entirely contained within  
21 the prespecified equivalence limits. These results  
22 indicate that there are no clinically meaningful

1 differences in efficacy between Epoetin Hospira and  
2 Epogen when administered subcutaneously, further  
3 supporting similarity.

4 We see consistency of results with the  
5 co-primary endpoint analysis for the IV study. The  
6 90 percent confidence intervals are entirely  
7 contained within the prespecified equivalence  
8 limits, indicating there is no clinically  
9 meaningful differences in efficacy between Epoetin  
10 Hospira and Epogen, again supporting similarity.  
11 Both 95 percent and 90 percent confidence intervals  
12 met the acceptance criteria for efficacy results in  
13 these studies.

14 A series of sensitivity analyses were  
15 performed across various analysis populations to  
16 assess the robustness of the primary analysis  
17 conclusions. The results for the subcutaneous  
18 study are shown here. Similar findings are  
19 observed in the intravenous study. Overall, the  
20 sensitivity analyses are concordant with and  
21 support the primary intend to treat analysis  
22 conclusions for efficacy.

1           In addition, secondary endpoints support the  
2 findings from the co-primary endpoints. Two  
3 prespecified key secondary endpoints are shown. A  
4 consistent percentage of patients had hemoglobin  
5 targets within 9 to 11 grams per deciliter between  
6 treatments. In the subcutaneous study, 4 percent  
7 of patients required blood transfusions, and in the  
8 IV study, 6 percent of patients required blood  
9 transfusions in each treatment group.

10           I'll now turn to clinical safety. The  
11 primary evidence of safety comes from pooled data  
12 from the two randomized controlled studies.  
13 Overall, incidence of reported events in the  
14 combined randomized controlled studies were  
15 consistent between treatment groups across all  
16 categories.

17           In both treatment groups, approximately  
18 75 percent of patients experienced at least one  
19 adverse event. A similar percentage of patients  
20 across both treatment groups experienced at least  
21 one serious adverse event, and deaths occurred in  
22 approximately 2 percent of patients in each

1 treatment group.

2 Adverse events greater than 5 percent  
3 incidence in either treatment group are summarized  
4 here. The most common were nausea, AV fistula site  
5 complication, vomiting, and muscle spasms. The  
6 nature of these events are as expected in the  
7 chronic kidney disease with hemodialysis  
8 population.

9 With regard to serious adverse events, the  
10 incidence of serious adverse events was consistent  
11 between Epoetin Hospira and Epogen between  
12 treatment groups. Again, the SAEs reported are  
13 consistent with what would be expected in this  
14 population.

15 Now turning to events of interest, events of  
16 interest were prespecified and informed by the U.S.  
17 package insert for Epogen/Procrit. Starting with  
18 thromboembolic events, 39 events were reported in  
19 33 patients treated with Epoetin Hospira and 36  
20 events in 26 patients treated with Epogen.

21 Overall, there was a similar frequency of serious,  
22 severe, and treatment-related thromboembolic events

1 between the treatment groups.

2 For hypertension events, 33 events were  
3 reported in 28 patients treated with Epoetin  
4 Hospira and 32 events in 21 patients treated with  
5 Epogen. The majority of these events were reported  
6 as non-serious and non-severe.

7 Concomitant antihypertensive medication use  
8 was consistent between treatment groups, and  
9 evaluation of objective blood pressure data showed  
10 consistency between treatment groups with regard to  
11 central tendency and extreme values. Other events  
12 of interest were comparable between treatment  
13 groups. In the clinical program, there were no  
14 reported events of pure red cell aplasia.

15 Immunogenicity assessments were conducted  
16 with validated methods, including  
17 radioimmunoprecipitation for the detection of  
18 anti-epoetin antibodies, and if positive, testing  
19 using a cellular-based assay for neutralizing  
20 properties. Serum samples were collected  
21 throughout the studies.

22 Let's look at the immunogenicity results.

1 There was a similar number of patients with  
2 detectable ADA results between Epoetin Hospira and  
3 Epogen. The low number is in line with published  
4 data for epoetins. Most of these patients were ADA  
5 positive at baseline. In all cases, patients  
6 remained clinically stable throughout treatment.  
7 No neutralizing antibodies were detected in any  
8 patient, and no cases of PRCA were reported.

9 In total, a program-wide systematic  
10 assessment supports a consistent immunogenicity  
11 profile of Epoetin Hospira and Epogen.

12 In summary, the clinical program supports  
13 the demonstration of biosimilarity between Epoetin  
14 Hospira and Epogen. PK/PD equivalence was  
15 established under single- and multiple-dose  
16 conditions as foundational across all conditions of  
17 use.

18 The comparative efficacy data demonstrated  
19 similar efficacy under sub-Q and IV conditions in a  
20 sensitive population of patients with anemia. The  
21 clinical data also support consistent and  
22 well-characterized safety and immunogenicity

1 profiles between the two products. Overall, the  
2 clinical program demonstrated no clinically  
3 meaningful differences between Epoetin Hospira and  
4 Epogen.

5 Thank you. Dr. Ramachandra will now  
6 conclude our presentation.

7 **Applicant Presentation - Sumant Ramachandra**

8 DR. RAMACHANDRA: The Epoetin Hospira  
9 development program used the defined stepwise  
10 approach to demonstrate biosimilarity to the  
11 Epogen/Procrit reference product. The  
12 comprehensive analytical studies using state-of-  
13 the-art methods demonstrated physical chemical  
14 structure and biological function of Epoetin  
15 Hospira is highly similar to Epogen/Procrit.

16 The comparative clinical development program  
17 further supports the conclusion that Epoetin  
18 Hospira is highly similar with no clinically  
19 meaningful differences to the reference product.  
20 PK and PD equivalence was established.

21 Additionally, two well-controlled comparative  
22 efficacy and safety studies demonstrated



1 equivalence in efficacy response. Finally, the  
2 safety profile, including immunogenicity, is  
3 consistent between Epoetin Hospira and Epogen.

4 The demonstration of biosimilarity coupled  
5 with the well-characterized nature of the reference  
6 product together support extrapolation across all  
7 conditions of use for the reference product. The  
8 central therapeutic effect across all indications  
9 is mediated by the interaction of epoetin with the  
10 EPO receptor and its downstream cascade leading to  
11 erythropoiesis.

12 Additionally, comparative in vitro, in vivo,  
13 and clinical PD data demonstrate that the mechanism  
14 of action across all indications of Epoetin Hospira  
15 and the reference product is the same. The PK/PD  
16 of Epogen/Procrit has been well characterized.  
17 Importantly, the PK and PD equivalence between  
18 Epoetin Hospira and Epogen was established under  
19 both single-dose and multiple-dose conditions.

20 Epogen/Procrit has a well-characterized  
21 immunogenicity profile across the patient groups  
22 treated for each indication as reflected in its

1 product labeling. Our data demonstrate a  
2 consistent immunogenicity profile to Epogen.

3 Epogen/Procrit has a well-known safety  
4 profile. A program-wide systematic evaluation of  
5 safety was conducted and demonstrated consistent  
6 safety between Epoetin Hospira and Epogen.

7 Finally, the potential impact of  
8 administration was considered. In the comparative  
9 clinical efficacy and safety studies, equivalence  
10 was established for efficacy with both subcutaneous  
11 and IV routes of administration.

12 In summary, the consistent MoA and PK, as  
13 well as the well-established safety and  
14 immunogenicity profile of the reference product,  
15 Epogen/Procrit, for all approved indications  
16 combined with the totality of data supporting  
17 biosimilarity, justifies extrapolation across all  
18 indications.

19 In conclusion, the totality of evidence  
20 across comparative, analytical, nonclinical, and  
21 clinical studies provide the necessary data to  
22 demonstrate Epoetin Hospira is biosimilar to

1 Epogen/Procrit across all indications.

2 Finally, approval of Epoetin Hospira will  
3 expand options available to patients and the  
4 healthcare system. Thank you very much.

5 DR. RINI: Thank you for that presentation.  
6 We will now proceed with presentations from FDA.

7 **FDA Presentation - Frances Namuswe**

8 DR. NAMUSWE: Good morning. In the next  
9 45 minutes, the presenters listed here will present  
10 FDA's assessment of the applicant's data submitted  
11 to support Epoetin Hospira as a biosimilar to US-  
12 licensed Epogen/Procrit, which we will also refer  
13 to as US-Epogen or US-Epogen/Procrit.

14 I am Frances Namuswe, and I will present  
15 FDA's analysis and conclusions from the analytical  
16 similarity data. My colleague, Dr. Chao Wang, will  
17 present the results from FDA's statistical analysis  
18 used to support our conclusions.

19 I will start by summarizing EPO's mechanism  
20 of action. Endogenous EPO is produced primarily in  
21 the kidney and stimulates production of red blood  
22 cells. This process begins with binding of EPO to

1 the EPO receptor on erythroid progenitor cells  
2 primarily found in the bone marrow. This binding  
3 initiates signal transduction that leads to the  
4 survival, proliferation, and differentiation of  
5 erythroid progenitor cells into mature red blood  
6 cells.

7 The pharmacodynamic markers commonly used to  
8 assess erythropoiesis or production of red blood  
9 cells are reticulocyte count and hemoglobin levels.  
10 Both markers are upregulated by binding to the EPO  
11 receptor and subsequent signal transduction.  
12 Recombinant EPO has the same mechanism of action as  
13 endogenous epo.

14 Before I present the conclusions from our  
15 assessment, I want to highlight or reiterate some  
16 of the key features that are important for EPO's  
17 biological activity. Epo is a glycosylated  
18 protein, and glycosylation is important for its  
19 in vivo biological activity because it impacts the  
20 half-life of circulating epo.

21 The EPO model in the upper left corner  
22 presents the glycans as the protruding structures

1 on the folded protein. These glycans make up  
2 approximately 40 percent of the molecular weight of  
3 the protein. Epo glycans are heterogenous, and  
4 some of this heterogeneity is shown in the figure  
5 on the right.

6 For example, they can contain a variable  
7 number of branched chains, chemical modifications  
8 on the individual monosaccharides such as the  
9 O-acetylation shown on all the individual cartoons;  
10 multiple repeating units per chain as shown in the  
11 fourth cartoon; different numbers of terminal  
12 sialic acids per glycan represented by the purple  
13 diamonds; and in recombinant product, you may find  
14 human and nonhuman monosaccharide species.

15 The role of the various glycans in  
16 biological activity continues to be studied.  
17 However, there's a consensus that terminal sialic  
18 acid residues on the glycans are important for EPO  
19 clearance.

20 This slide shows the applicant's studies  
21 that the agency reviewed. The studies reviewed to  
22 support clinical immunogenicity assessment are

1 indicated by the asterisks. In all studies,  
2 US-Epogen/Procrit was used as the active  
3 comparator.

4 This slide shows the product quality  
5 attributes assessed by the applicant to support  
6 analytical similarity. The attributes can be  
7 groups into six categories, including structure,  
8 glycosylation, product-related species, biological  
9 activity, drug product attributes, and the  
10 stability profiles of the products.

11 The applicant used multiple orthogonal  
12 methods to assess these attributes. It is  
13 important to point out that the formulation of  
14 US-Epogen/Procrit contains human serum albumin, or  
15 HSA, that interferes with several analytical  
16 methods. The applicant provided data to support  
17 that removal of HSA did not impact most quality  
18 attributes of US-Epogen/Procrit. In cases where  
19 its removal impacted the quality attribute, the  
20 applicant developed and qualified alternative  
21 methods that did not require HSA removal to assess  
22 the attribute.

1           To assess analytical similarity, the sponsor  
2 used a total of 35 lots of Epoetin Hospira drug  
3 product, 9 lots of Epoetin Hospira drug substance,  
4 and 54 lots of US-Epogen/Procrit. The lots used in  
5 clinical studies and the proposed commercial  
6 process were included in the analytical similarity  
7 assessment, and all drug products' strength for  
8 which the applicant is requesting approval were  
9 represented.

10           The number of lots for each attribute was  
11 justified by the applicant. Prior to data  
12 analysis, the applicant conducted a risk assessment  
13 of each quality attribute to determine the  
14 criticality or importance of that various attribute  
15 with respect to biological activity; PK/PD;  
16 efficacy; and safety, including immunogenicity.

17           For comparative data analysis, the applicant  
18 assigned each attribute to one of three tiers of  
19 statistical analysis based on their criticality and  
20 other considerations. As shown in the table on the  
21 right, tier 1 uses equivalence testing, tier 2 uses  
22 quality ranges such as mean plus or minus 3

1 standard deviations, and tier 3 uses graphical  
2 comparisons. This approach is in agreement with  
3 the agency expectations.

4 FDA's assessment also included independent  
5 statistical analysis of the applicant's data. This  
6 is a summary of our analytical similarity  
7 assessment based on the data provided by the  
8 applicant. The totality of the analytical  
9 similarity data support a conclusion that Epoetin  
10 Hospira is highly similar to US-licensed  
11 Epogen/Procrit notwithstanding minor differences in  
12 clinically inactive components.

13 Based on the analytical similarity data and  
14 publicly available information, Epoetin Hospira has  
15 the same primary structure as US-licensed  
16 Epogen/Procrit. In addition, high order structure  
17 and biological activity data support the protein  
18 folding, biological activity, and the intrinsic  
19 properties of EPO as similar between the two  
20 products.

21 Similar levels of most product-related  
22 species and similar stability profiles were also



1 observed between the two products, as shown in the  
2 table on the right side. Similar product-related  
3 species means same type and similar amounts of  
4 species of interest.

5 Differences were observed in the levels of  
6 some glycosylation species and one trisulfide  
7 species. As I will elaborate in the next slides,  
8 these differences did not preclude a conclusion  
9 that the two products are highly similar.

10 To elaborate on the differences in  
11 glycosylation, the figure on this slide shows a  
12 chromatography profile of all the N-glycans in  
13 Epoetin Hospira in the top panel and  
14 US-Epogen/Procrit in the two bottom panels. The  
15 peaks in the chromatogram represent the different  
16 N-glycan species separate by this method. Data  
17 from these and several other methods were used to  
18 identify and quantitate the different glycan  
19 species.

20 These data show that Epoetin Hospira and  
21 US-Epogen/Procrit have the same glycosylation  
22 profiles, same glycosylation site, similar site

1 occupancy, the same glycan species, and similar  
2 levels of several glycans. Importantly, no new  
3 glycan species are seen in Epoetin Hospira.  
4 However, there are some differences between the  
5 profiles of the products due to minor differences  
6 in the amounts of some glycan species. Some of  
7 these differences are marked in the figure.

8           Examples of glycan species that correspond  
9 to these differences include the relative amounts  
10 of the branch chains, repeating units per chain,  
11 O-acetylation of the terminal sialic acids, sialic  
12 acid distribution, and the amounts of nonhuman  
13 sialic acid species.

14           As I mentioned earlier, EPO glycosylation  
15 impacts in vivo biological activity. The overall  
16 impact of the differences in glycosylation on  
17 biological activity was evaluated by a mouse-based  
18 assay that measures the increase in reticulocyte  
19 count following a given dose of epo. This assay  
20 was demonstrated through studies conducted by the  
21 applicant to be sensitive to these differences.

22           Biological activity was also assessed using

1 in vitro cell-based and receptor-binding assays.  
2 These assays are more precise and support the  
3 results obtained using the mouse-based assay.

4 The results of these studies show that the  
5 observed differences in glycosylation do not result  
6 in an observable effect on biological activity or  
7 the intrinsic properties of the molecule. To  
8 illustrate this, we will show analysis of in vivo  
9 biological activity and in vitro specific activity.

10 These attributes were selected for tier 1  
11 equivalence testing because in vivo biological  
12 activity represents EPO's mechanism of action and  
13 is the most clinically relevant assay. In vitro  
14 specific activity provides the information  
15 regarding the intrinsic properties of epo.

16 Dr. Wang will now present the results from  
17 this statistical equivalence analysis of these two  
18 attributes.

19 **FDA Presentation - Chao Wang**

20 DR. WANG: Good morning. I'm Chao Wang, the  
21 CMC statistical reviewer for the application. I  
22 will present the statistical equivalence analysis

1 of the two quality attributes for biological  
2 activity.

3 First, let's talk about the statistical  
4 equivalence test. For quality attributes, the  
5 equivalence test is used to determine whether the  
6 mean difference between the test and reference  
7 products is within equivalence margins. Let  $\sigma_R$   
8 be the standard deviation of reference product,  
9 which is estimated from the reference data  
10 generated by the applicant. Then the null  
11 hypothesis is that the mean difference is either  
12 less than or equal to minus 1.5  $\sigma_R$  or greater  
13 than or equal to 1.5  $\sigma_R$ . The alternative is  
14 that the mean difference falls within the range  
15 from minus 1.5  $\sigma_R$  to plus 1.5  $\sigma_R$ .

16 Test and reference pass the equivalence  
17 tests if the equivalence test plots, the 90 percent  
18 confidence interval for a mean difference, shown as  
19 blue segments, falls within the equivalence margins  
20 marked by two vertical lines.

21 Here we present the test results for the  
22 quality attributes in vivo biological activity.

1 The data used in equivalence tests are shown in the  
2 scatter plots where the sample for Epoetin Hospira  
3 are marked by red circles and US-Epogen/Procrit by  
4 blue diamonds.

5 Note that the data for the Epoetin Hospira  
6 lots were obtained after adjustment of EPO  
7 contents. The equivalence test plot shows that the  
8 90 percent confidence interval of the mean  
9 difference is within the equivalence margins. The  
10 detailed test results are shown in the table.  
11 Thus, in vivo biological activity passed the  
12 equivalence test.

13 The results for in vitro specific activity  
14 is shown similarly. From the equivalence test  
15 plot, we can see that the 90 percent confidence  
16 interval of the mean difference is within the  
17 equivalence margins. So in vitro specific activity  
18 passed the equivalence test as well.

19 Dr. Namuswe will now resume with the CMC  
20 discussion.

21 **FDA Presentation - Frances Namuswe**

22 DR. NAMUSWE: Based on in vivo and in vitro

1 biological activity data, receptor-binding, and our  
2 statistical analysis, we do not expect the minor  
3 differences in glycosylation to have an impact on  
4 efficacy and safety.

5           The other difference observed between  
6 Epoetin Hospira and US-Epogen/Procrit was the  
7 amount of a trisulfide species present on average  
8 at 4.5 percent levels higher in Epoetin Hospira.  
9 Trisulfide species are formed by insertion of an  
10 extra sulfur atom into the disulfide bonds, and  
11 they are reported to form during manufacturing  
12 processes.

13           The difference in the amount of these  
14 trisulfide species is not expected to have clinical  
15 impact because these differences did not result in  
16 differences in biological activity of Epoetin  
17 Hospira and US-licensed Epogen/Procrit.

18           In addition, trisulfide species were  
19 reported in even higher levels in an earlier  
20 version of Epoetin Hospira, and they did not result  
21 in differences in in vitro or in vivo specific  
22 activity compared to the clinical and commercial

1 Epoetin Hospira product. These data suggest that  
2 these species do not impact the intrinsic  
3 properties of the EPO molecule.

4 In addition, the literature of other  
5 recombinant products indicates that trisulfide  
6 species rapidly convert to disulfide species  
7 in vivo. Based on the biological activity data and  
8 the literature, we do not expect the differences in  
9 trisulfide species to have an impact on efficacy  
10 and safety.

11 In conclusion, the totality of the  
12 analytical similarity data supports a conclusion  
13 that Epoetin Hospira is highly similar to  
14 US-Epogen/Procrit notwithstanding minor differences  
15 in clinically inactive components.

16 That concludes the CMC presentation. Our  
17 next topic will be pharmacology and toxicology.

18 **FDA Presentation - Natalie Simpson**

19 DR. SIMPSON: Good morning. I am Natalie  
20 Simpson, the pharmacology toxicology reviewer for  
21 this application. This is a quick overview of the  
22 current nonclinical approach for biosimilar's

1 review and the comparative animal studies submitted  
2 for Epoetin Hospira and US-Epogen/Procrit.

3 Comparative animal studies may support the  
4 similarity of a proposed product to a reference  
5 product. However, if comparative structural and  
6 functional data using the proposed product provides  
7 strong support for analytical similarity to a  
8 reference product, a more tailored approach to the  
9 amount and type of animal data needed to support a  
10 demonstration of biosimilarity can be taken.

11 The applicant submitted two comparative  
12 animal studies that are presented for completeness  
13 but were not designed to support a demonstration of  
14 biosimilarity. They were a 13-week subcutaneous or  
15 SC repeat-dose toxicity, and pharmacokinetic or PK  
16 study in Sprague-Dawley rats, and a 13-week  
17 intravenous or IV repeat-dose toxicity and PK study  
18 in beagle dogs.

19 The rat and dog were selected as the species  
20 for comparative toxicology studies, which is  
21 appropriate based on the mechanism of action of  
22 epo. However, immunogenicity has been associated



1 with long-term repeat SC dosing of human EPO in  
2 rats.

3 This table summarizes the conclusions in  
4 bold drawn by the FDA from the two comparative  
5 animal studies. Additionally, the route of  
6 administration and the species are bolded in the  
7 study title column to ease in the interpretation of  
8 the differences between the two studies.

9 In both studies, animals were administered  
10 Epoetin Hospira or US-Epogen/Procrit 3 times per  
11 week at the same doses of 150, 450, and 1500  
12 reduced to 900, due to mortality, international  
13 units per kilogram or IU per kg.

14 For rats administered Epoetin Hospira or  
15 US-Epogen/Procrit subcutaneously, we could not make  
16 meaningful comparisons for the pharmacodynamic, or  
17 PD, and PK endpoints because there was decreased PD  
18 activity and exposure that correlated with a high  
19 level of antidrug antibody or ADA development for  
20 the US-Epogen-treated rats.

21 Dogs administered either product  
22 intravenously displayed increases in PD activity.

1       However, there were differences up to 40 percent in  
2       PK parameters in dogs mainly for exposures and  
3       clearance rates, but there was a large amount of  
4       individual animal variability indicated by the  
5       asterisk.

6               In both the rat and dog comparative  
7       toxicology studies, PD activity plateaued at the  
8       lowest dose tested, and there were no major  
9       differences in toxicity between the two treatment  
10      arms.

11             In summary, in stepwise evidence  
12      development, the PK and PD differences in animals  
13      observed from the perspective of pharmacology  
14      toxicology would be addressed by subsequent  
15      clinical studies. The differences in exposures and  
16      PD activity in rats could be related to  
17      immunogenicity. For example, there was more  
18      antidrug antibody development in US-Epogen-treated  
19      groups, which had immunogenic human serum albumin  
20      in the formulation, than in groups treated with  
21      Epoetin Hospira.

22             It is important to keep in mind that

1 immunogenicity in animals is not predictive of  
2 immunogenicity in humans. In general, there were  
3 no major differences in the toxicity profile  
4 between Epoetin Hospira and US-Epogen/Procrit.

5 This concludes the pharmacology toxicology  
6 presentation. Our next topic will be clinical  
7 immunogenicity.

8 **FDA Presentation - Steven Bowen**

9 DR. BOWEN: Thank you. Good morning. I'm  
10 Steve Bowen from the Office of Biotechnology  
11 Products, and I reviewed the clinical  
12 immunogenicity assessment for this application.

13 For all therapeutic proteins, there is  
14 potential for the therapy to induce an unwanted  
15 immune response, usually in the form of antidrug  
16 antibodies, or ADA, that can impact the safety and  
17 efficacy of the drug. For ESA therapy,  
18 immunogenicity is of particular concern because the  
19 endogenous counterpart of epoetin alfa is  
20 erythropoietin, a critical nonredundant growth  
21 factor that is required for the development of red  
22 blood cells.

1           We know from experience with other ESAs that  
2 changes in certain product quality attributes can  
3 cause the development of neutralizing ADA in  
4 patients receiving the therapy. When neutralizing  
5 ADA cross-react with endogenous erythropoietin, a  
6 life-threatening form of anemia known as pure red  
7 cell aplasia can occur.

8           Due to the immunogenicity risks associated  
9 with ESA products, a comparative assessment of the  
10 ADA response to Epoetin Hospira and Epogen was  
11 critical for this application. Therefore, in our  
12 review, we sought to address the question of  
13 whether Epoetin Hospira was similar to Epogen with  
14 respect to immunogenicity, particularly for the  
15 development of neutralizing antibodies, and whether  
16 the data supported demonstration of no clinically  
17 meaningful differences between the two products.

18           The applicant performed one single-dose  
19 crossover study in healthy subjects, and three  
20 multiple-dose parallel arm studies in healthy  
21 subjects and in patients with chronic kidney  
22 disease, or CKD, which are framed in red.

1           Immunogenicity was monitored in all clinical  
2 studies. However, the parallel arm study design  
3 was ideal to compare immunogenicity of Epoetin  
4 Hospira and US-Epogen because it allowed ADA to be  
5 attributed to one product versus the other.

6 Therefore, the assessment of immunogenicity between  
7 Epoetin Hospira and US-Epogen was based primarily  
8 on data derived from these studies.

9           Serum samples were collected from subjects  
10 at time points before and after exposure that were  
11 appropriate to capture the development of ADA.  
12 Samples were tested for binding and neutralizing  
13 ADA using validated assays that were carefully  
14 reviewed by the agency and determined to be  
15 consistent with FDA recommendations for ADA assays.

16           These tables indicate the percentage of  
17 patients in each study that were positive for ADA  
18 at baseline prior to first exposure to the study  
19 drug and patients with treatment-induced ADA who  
20 were negative at baseline but became positive after  
21 exposure. The percentage of patients with  
22 neutralizing antibodies, or Nabs, is also indicated

1 in the far right column.

2 For each of the three clinical studies, the  
3 rate of ADA development were similar between the  
4 Epoetin Hospira and US-Epogen arms. No patients  
5 developed neutralizing antibodies to either drug in  
6 any of the clinical studies.

7 To summarize, the immunogenicity of Epoetin  
8 Hospira and US-licensed Epogen was compared in  
9 three multiple-dose parallel arm studies in 849  
10 patients with CKD and 129 healthy subjects. The  
11 assays used to test serum samples from subjects  
12 enrolled in these studies were reviewed by the FDA  
13 and found to be properly validated. The rates of  
14 binding ADA were similar between Epoetin Hospira  
15 and US-Epogen arms, and no neutralizing ADA were  
16 observed in any of the clinical studies.

17 In conclusion, the clinical immunogenicity  
18 assessment demonstrates no increase in  
19 immunogenicity risk for Epoetin Hospira as compared  
20 to US-licensed Epogen and supports a demonstration  
21 of no clinically meaningful differences between the  
22 two products.

1           This concludes the clinical immunogenicity  
2 presentation. Our next topic will be clinical  
3 pharmacology.

4                           **FDA Presentation – Vicky Hsu**

5           DR. HSU: Good morning. I am Vicky Hsu, the  
6 clinical pharmacology reviewer for the application.  
7 The goal of the clinical pharmacology program is to  
8 evaluate the PK and PD similarity between Epoetin  
9 Hospira and US-licensed Epogen. This included  
10 evaluation of single-dose PK and PD similarity  
11 between Epoetin Hospira and US-licensed Epogen.

12           The single-dose PD marker is reticulocyte  
13 count. It also included an evaluation of  
14 multiple-dose PD similarity between Epoetin Hospira  
15 and US-licensed Epogen. The multiple-dose PD  
16 marker is hemoglobin level.

17           During our review, we aimed to answer the  
18 question do the clinical pharmacology data  
19 submitted under this BLA support a demonstration of  
20 no clinically meaningful differences between  
21 Epoetin Hospira and US-licensed Epogen?

22           As indicated in the red box, the applicant

1 conducted two studies to evaluate the PK and PD  
2 similarity between their product Epoetin Hospira  
3 and US-licensed Epogen. Study 12-02 was the  
4 single-dose study that provided the pivotal PK  
5 similarity evaluation. It used a crossover design  
6 in 81 healthy subjects randomized 1 to 1 into  
7 either crossover sequence group.

8 A subcutaneous dose of 100 units per  
9 kilogram was administered in each period with a  
10 washout time of 28 days between periods. The  
11 primary endpoints included PK and PD similarity  
12 assessments.

13 Study 14-01 was the multiple-dose study. It  
14 was a parallel design in 121 healthy subjects  
15 randomized 1 to 1 into either the Epoetin Hospira  
16 arm or the US-licensed Epogen arm. Subcutaneous  
17 doses of 100 units per kilogram were administered  
18 3 times a week for 4 weeks for a total of 12 doses.

19 The agency considers hemoglobin level as the  
20 primary PD endpoint for this study. Multiple-dose  
21 PK was also characterized in this study, but this  
22 data is considered supportive in a PK similarity



1 assessment.

2 For the single-dose study 12-02, the PK  
3 profile for baseline-adjusted EPO concentration is  
4 shown in the left panel. The gold line represents  
5 Epoetin Hospira, and the blue line represents  
6 US-licensed Epogen. A baseline adjustment was  
7 applied to the EPO concentrations in order to  
8 correct for endogenous erythropoietin  
9 concentrations, which is analytically  
10 indistinguishable from exogenous erythropoietin.

11 Following a single subcutaneous dose of  
12 100 units per kilogram, maximum EPO concentrations  
13 are reached at around 12 to 15 hours post-dose.  
14 The right panel depicts the single-dose  
15 reticulocyte count profile expressed as a  
16 percentage of erythrocytes. Maximum reticulocyte  
17 count is achieved at around 120 hours or 5 days  
18 post-dose.

19 The geometric mean ratios and their  
20 corresponding 90 percent confidence intervals for  
21 the single-dose PK and PD endpoints are shown in  
22 this plot against an axis depicting the

1 prespecified similarity margin of 80 to  
2 125 percent. As you can see, in the single-dose  
3 study 12-02, all the PK endpoints of Cmax and AUC  
4 and reticulocyte count PD endpoints of percent  
5 reticulocyte Emax and AUEC met the prespecified  
6 criteria for determining similarity.

7           Similar to the previous plot, the geometric  
8 mean ratios and their corresponding 90 percent  
9 confidence intervals for multiple-dose PK and PD  
10 endpoints are shown against an axis depicting the  
11 prespecified similarity margin of 80 to  
12 125 percent.

13           As you can see, in the multiple-dose PK  
14 endpoints of Cmax and AUC fell within the 80 to  
15 125 percent margin. As previously stated, the  
16 multiple-dose PK data are considered supportive PK  
17 in the overall clinical pharmacology similarity  
18 assessment.

19           Regarding the multiple-dose PD endpoints,  
20 the agency considers hemoglobin Emax and AUEC as  
21 co-primary PD endpoints. As shown in this plot,  
22 these PD endpoints also met the prespecified

1 criteria for demonstrating similarity.

2 In summary, the PK and PD study results  
3 support the demonstration of no clinically  
4 meaningful differences between Epoetin Hospira and  
5 US-licensed Epogen. These results add to the  
6 totality of the evidence to support a demonstration  
7 of biosimilarity of Epoetin Hospira and US-licensed  
8 Epogen.

9 This concludes the clinical pharmacology  
10 presentation. Our next topic will be clinical  
11 efficacy.

12 **FDA Presentation - Lola Luo**

13 DR. LUO: Good morning. My name is Lola  
14 Luo, the clinical statistical reviewer for the  
15 application. I will present the comparative  
16 clinical study results.

17 The applicant conducted two studies to  
18 evaluate the efficacy and the safety of Epoetin  
19 Hospira and the US-licensed Epogen/Procrit in  
20 patients with chronic kidney disease on  
21 hemodialysis. This data support the demonstration  
22 of no clinically meaningful differences between

1 Epoetin Hospira and US-licensed Epogen/Procrit.

2 Study 10-13 was a randomized double-blinded  
3 parallel group study of subcutaneous administration  
4 of Epoetin Hospira or US-licensed Epogen/Procrit  
5 with a titration period and a 16-week maintenance  
6 period.

7 Study 10-01 was a randomized double-blinded  
8 parallel group study of intravenous administration  
9 of Epoetin Hospira or US-licensed Epogen/Procrit  
10 with a 24-week treatment period.

11 The applicant disclosed the multiple sites  
12 in both studies were good clinical practice  
13 noncompliant. In study 10-13, three sites were  
14 closed during the conduct of the study, which  
15 impacted 10 percent of enrolled subjects and  
16 8 percent of the subjects in the intent-to-treat  
17 population. In study 10-01, a total of 9 sites  
18 were excluded, which represented 14 percent of  
19 subjects enrolled and 11 percent of subjects in the  
20 ITT population. The agency conducted sensitivity  
21 analyses for both efficacy and safety endpoints,  
22 excluding the GCP noncompliant sites to confirm the

1 integrity of the initial analysis.

2           There are two primary endpoints for  
3 study 10-13 and study 10-01, the mean weekly  
4 hemoglobin level during the last 4 weeks of the  
5 treatment period and the mean weekly dosage per  
6 kilogram body weight during the last 4 weeks of the  
7 treatment period.

8           The equivalence margin proposed by the  
9 applicant for the hemoglobin is plus/minus 0.5 gram  
10 per deciliter. This margin was based on the  
11 observed within subject variability of  
12 approximately plus/minus 1 gram per deciliter  
13 obtained from published literature. Half of this  
14 observed within subject variability was deemed to  
15 be not clinically meaningful.

16           The equivalence margin proposed by the  
17 applicant for the dose is plus/minus 45 units per  
18 kilogram per week. This margin was also based on  
19 published literature. Changes of equal or less  
20 than 45 units per kilogram per week provided no  
21 effect on hemoglobin level, and higher dose  
22 increments were needed to provide a consistent

1 dose-dependent increase in hemoglobin. The agency  
2 has no objection on either of the two equivalence  
3 margins proposed.

4 Randomization is 1 to 1 double blinded.  
5 Study 10-13 used the titration period study drug  
6 dose low, medium, high as the stratification  
7 factor. Study 10-01 had no stratification factors.

8 288 and 564 subjects were planned for sub-Q  
9 and IV studies, respectively. To achieve 90  
10 percent of power was the given equivalence margin  
11 and the parameter assumptions. Intent-to-treat  
12 analysis population is defined as all randomized  
13 subjects. A total of 246 subjects were randomized  
14 into the ITT subpopulation in the sub-Q study, and  
15 612 subjects were randomized in the IV study.

16 Good clinical practice analysis population  
17 is defined as the ITT population excluding subjects  
18 from the closed sites. There were 226 subjects in  
19 the GCP population in the sub-Q study and 547  
20 subjects in the IV study.

21 For the primary analyses, a hierarchical  
22 testing procedure is used to adjust for

1 multiplicity. First, the difference in mean weekly  
2 hemoglobin level was tested. If the 90 percent  
3 confidence intervals of the difference were within  
4 the equivalence margin, the difference in mean  
5 weekly dose would then be tested. Analysis of  
6 covariance model was used to analyze the primary  
7 endpoints.

8           Approximately 89 percent of patients  
9 completed both studies. Missing data appeared to  
10 be balanced across study arms. Results from  
11 sensitivity analyses were consistent with the  
12 results from the primary analysis.

13           For the mean weekly hemoglobin level in both  
14 sub-Q and IV studies, the 90 percent confidence  
15 intervals for the differences are within the  
16 equivalence margin for both analysis populations.

17           For the mean weekly dose in both sub-Q and  
18 IV studies, the 90 percent confidence intervals for  
19 the differences are also within the equivalence  
20 margin for both analysis populations.

21           In summary, the 90 percent confidence  
22 intervals for the differences between Epoetin

1 Hospira and US-licensed Epogen/Procrit in both  
2 primary endpoints are within the equivalence  
3 margins in both sub-Q and IV studies. These  
4 results are consistent among different sensitivity  
5 analyses and subgroups. Data support a  
6 demonstration of no clinically meaningful  
7 differences between Epoetin Hospira and US-licensed  
8 Epogen/Procrit.

9 This concludes the clinical efficacy  
10 presentation. Our next topic will be on clinical  
11 safety.

12 **FDA Presentation - Lori Ehrlich**

13 DR. EHRLICH: Good morning. I'm Lori  
14 Ehrlich, the clinical reviewer for the application.  
15 I will review the analysis of safety for the  
16 clinical studies.

17 This is a high-level overview of the safety  
18 analysis in study 10-13 during the randomized  
19 maintenance period with subcutaneous treatment,  
20 shown as the original analysis population on the  
21 left and analysis after removal of the non-GCP  
22 compliant sites on the right.



1           There were no significant differences in the  
2 rates of treatment-emergent adverse events between  
3 patients with Epoetin Hospira and US-licensed  
4 Epogen/Procrit. Removal of the sites closed for  
5 GCP compliance issues did not change the overall  
6 safety analysis.

7           This is a similar high-level overview of the  
8 treatment-emergent adverse events in study 10-01  
9 within intravenous treatment shown as the original  
10 analysis population on the left and the analysis  
11 after removal of the non-GCP compliant sites on the  
12 right.

13           There were no significant differences in the  
14 rates of treatment-emergent adverse events between  
15 the patients treated with Epoetin Hospira and  
16 US-licensed Epogen/Procrit. Removal of the sites  
17 with GCP compliance issues did not change the  
18 overall safety analysis.

19           Finally, a review of the major labeled  
20 safety events for erythropoietin-stimulating  
21 agents, specifically, myocardial infarction,  
22 stroke, and thromboembolism, showed these events

1 occurred in both arms with no imbalances and at  
2 rates consistent with the prescribing information  
3 for the approved drug. There were no cases of pure  
4 red cell aplasia in these studies.

5 In summary, from two randomized clinical  
6 studies using subcutaneous and intravenous epoetin,  
7 shown here, and a review of two open-label long-  
8 term safety studies, the safety monitoring and the  
9 clinical studies was adequate. Overall, there were  
10 no imbalances in safety events between patients who  
11 received Epoetin Hospira versus US-licensed  
12 Epogen/Procrit.

13 A sensitivity analysis excluding non-GCP  
14 compliant sites did not change the overall  
15 analysis.

16 The applicant is seeking indications that  
17 are the same as US-licensed Epogen/Procrit, namely,  
18 for the treatment of anemia due to chronic kidney  
19 disease both on dialysis and not on dialysis,  
20 anemia due to zidovudine treatment, chemotherapy-  
21 induced anemia, and the reduction in allogenic  
22 transfusions for patients undergoing surgery.

1           The clinical studies conducted by the  
2 applicant were in healthy subjects and in patients  
3 with chronic kidney disease on hemodialysis, so  
4 extrapolation must be used for other indications.

5           In support of extrapolation to other  
6 indications, the agency notes that the mechanism of  
7 action of epoetin alfa is the same across all  
8 indications. The applicant has demonstrated  
9 similarity of their product with respect to  
10 analytical attributes, PK/PD effects,  
11 immunogenicity, efficacy, and safety of both the IV  
12 and subcutaneous routes of administration.  
13 Therefore, the agency considers extrapolation  
14 across all indications to be scientifically  
15 justified.

16           I will now review the overall summary of the  
17 FDA findings. This provides a reminder of the  
18 description of biosimilarity, which includes two  
19 components. To be a biosimilar, the product must  
20 be highly similar to the reference product  
21 notwithstanding minor differences in clinically  
22 inactive components, and the product must have no

1 clinically meaningful differences in safety,  
2 purity, and potency. The concept of potency has  
3 long been interpreted to include effectiveness.

4 The FDA finds that the totality of the  
5 analytical data supports a demonstration of highly  
6 similar notwithstanding minor differences in  
7 clinically inactive components. The clinical data,  
8 which includes pharmacokinetics, pharmacodynamics,  
9 efficacy, safety, and immunogenicity, supports the  
10 finding of no clinically meaningful differences.

11 Residual uncertainties were identified  
12 during the product review, including differences in  
13 glycosylation and trisulfide species. These  
14 residual uncertainties were adequately addressed by  
15 other data, including clinical data.

16 In conclusion, the totality of the evidence  
17 supports biosimilarity of Epoetin Hospira and  
18 US-licensed Epogen/Procrit. Extrapolation to all  
19 indications of use for US-licensed Epogen/Procrit  
20 is supported by the understanding of the mechanism  
21 of action across indications and demonstration of  
22 biosimilarity.

1 DR. RINI: Thank you for those  
2 presentations. Given the length of the  
3 presentations, we're going to do a 15-minute break  
4 now, and then afterward, we'll have time for  
5 clarifying questions to the presenter and the  
6 public section.

7 Remind the committee members there should be  
8 no discussion of the topic at hand amongst  
9 yourselves or with anybody during the break, and we  
10 will resume at 10:25. Thank you.

11 (Whereupon, at 10:11 a.m., a recess was  
12 taken.)

13 **Clarifying Questions to the Presenters**

14 DR. RINI: We're going to go ahead and get  
15 started if people can take their seats. So we now  
16 have time for clarifying questions from the  
17 committee to any of the presenters, and I believe  
18 Dr. Hancock is going to lead us off with some  
19 questions.

20 DR. HANCOCK: Thank you for the very  
21 interesting presentations. I just wanted to ask  
22 some analytical questions. My first question was

1 that the company presented the 100 percent sequence  
2 coverage.

3 Did you achieve this coverage just using  
4 enzyme trypsin, or did you use other proteolytic  
5 enzymes?

6 DR. RAMACHANDRA: Sumant Ramachandra for the  
7 sponsor. The coverage was done by three peptide  
8 maps, trypsin, lysine-C, and Glu-C to the identical  
9 coverage.

10 DR. HANCOCK: Fine, because trypsin just  
11 gives you an amino acid and a dipeptide. Okay.

12 DR. RAMACHANDRA: That's right. So we used  
13 three. Thank you.

14 DR. HANCOCK: Good. So moving on, the  
15 trisulfide stability, when you designed an  
16 accelerated stability program, did the level of the  
17 trisulfide variant stay constant, go up or down?  
18 What happened?

19 DR. RAMACHANDRA: I'll ask Dr. Vanden Boom  
20 to discuss the trisulfide and how it expressed.

21 Dr. Vanden Boom?

22 DR. VANDEN BOOM: The trisulfide species,

1 which is likely formed in cell culture, is stable  
2 under both normal conditions, stability condition,  
3 the storage condition, and under stress conditions.

4 DR. HANCOCK: That's important because if  
5 the trisulfide is not stable, you could get  
6 disulfide scrambling with concerns here.

7 Then moving on to another variant, the  
8 degree of sialylation in the different branch  
9 structures, di, tri, and tetra and ternary, did you  
10 look at the distribution of sialic acid in these  
11 different branch forms?

12 DR. RAMACHANDRA: Total sialylation was the  
13 same. I'll ask Dr. Cathy Srebalus-Barnes to  
14 address the variants that were there.

15 DR. SREBALUS-BARNES: Hi. Catherine  
16 Srebalus-Barnes. I head up the biosimilars  
17 analytical R&D group at Pfizer. We did look at  
18 sialic acid distribution across the glycans.  
19 Although there were minor differences noted in the  
20 relative abundance, the total sialic acid is  
21 consistent because there are partially sialylated  
22 structures in both products.

1 DR. HANCOCK: Did you do this with LCMS of  
2 an enzyme map with things like ETD and CID  
3 disassociation?

4 DR. SREBALUS-BARNES: Yes. So in our core  
5 presentation, we listed a number of the methods.  
6 At a high level, our strategy is that we have  
7 multiple glycosylation methods. We use native  
8 glycan analysis, which is what you saw in the FDA  
9 presentation, and then we use a series of X-O  
10 glycosylase enzymes to trim down the glycans. To  
11 simplify them, we analyzed them, and we also used  
12 mass spec identification.

13 DR. HANCOCK: And you found the distribution  
14 of sialic acid in the branch forms similar between  
15 your drug and the original one?

16 DR. SREBALUS-BARNES: There were minor  
17 differences.

18 DR. HANCOCK: No, I understand.

19 DR. SREBALUS-BARNES: Minor differences, but  
20 as you look at the total sialic acid, it was  
21 consistent.

22 DR. RINI: Thank you. I forgot to mention



1 to the committee if you want to ask a question,  
2 just raise your hand, and Lauren will put you on  
3 the list and call you in sequence. And Dr. Karara  
4 had a question.

5 DR. KARARA: My question relates to the  
6 number of subjects that were excluded from the PK  
7 analysis in the pivotal PK study, the single-dose  
8 study, presumably relating to antidrug antibody.  
9 But you started with 81 enrolled, 81 subjects in  
10 the PK analysis on table 20, the summary PK data  
11 from 61 subjects, so about 25 percent of the  
12 enrolled subjects were excluded.

13 DR. RAMACHANDRA: I'll ask Dr. Martin to  
14 discuss the disposition of the patients in the  
15 sub-Q study, clin pharm study.

16 DR. MARTIN: Dr. Nancy Martin. Dr. Karara,  
17 the single-dose PK study had 81 subjects that were  
18 enrolled. With regard to the subjects that were  
19 removed from the pharmacokinetic analysis, the  
20 pro-specified statistical analysis plan indicated  
21 there were several reasons why. You had to meet  
22 the certain criteria for the pharmacokinetic

1 population.

2           You had to have adequate measurable  
3 concentrations to actually calculate the area under  
4 the curve, and in the event there were positive  
5 antidrug antibodies, patients were excluded.  
6 Patients who only participated in one of the two  
7 periods were also excluded.

8           So these were the three primary reasons why  
9 those subjects of 10 out of the 81 were removed  
10 from the pharmacokinetic analysis.

11           DR. KARARA: Do you have a breakdown of the  
12 subjects that were removed due to antidrug  
13 antibodies, and if it showed up in period 1, by  
14 which treatment? Do you have a breakdown of those,  
15 how many of the 20? There are 20 subjects.

16           DR. MARTIN: Yes. Slide up, please.

17           The information that we're looking at here  
18 is from the single-dose PK/PD study 12-02. In the  
19 pharmacodynamic population, 6 subjects received  
20 only period treatment 1; 1 subject had positive  
21 anti-EPO antibody at pre-dose; and 1 subject  
22 received both treatments but dropped from study

1 after the 6-hour sample in period 2. There were an  
2 incremental 2 subjects that had insufficient data  
3 to calculate the primary PK parameters.

4 So in total, 10 of the 81 were removed from  
5 the primary pharmacokinetic population.

6 Importantly, this primary PK population  
7 demonstrated PK and PD equivalence, and we provided  
8 additional sensitivity analyses in our briefing  
9 book, including all 81, the safety population, that  
10 support the primary analysis conclusion. Thank  
11 you.

12 DR. KARARA: Thank you.

13 DR. RAMACHANDRA: Thank you, Dr. Martin.

14 DR. RINI: Thank you. Dr. Cramer.

15 DR. CRAMER: Steve Cramer, RPI. I have a  
16 clarifying question. You state that minor  
17 adjustments were made to the proposed DP commercial  
18 process after completion of the clinical studies to  
19 support similar EPO content, and then these changes  
20 were evaluated and determined to not have an impact  
21 on the conclusions from the analytical similarity  
22 and clinical studies.

1           I guess you can't really talk about what the  
2 changes are in the process, or maybe you can. But  
3 my question is, when you made those changes, what  
4 does it mean, EPO content? Was it the quality of  
5 the content? Was it the concentration? Did the  
6 product-related variant profile change, and were  
7 the conclusions that you made from all the studies  
8 done on the original proposed process? You state  
9 that it's still the same conclusions, but I'm just  
10 curious. We didn't see any data on that.

11           DR. RAMACHANDRA: This is a drug substance  
12 of epoetin. It was the same before the change and  
13 after the change. It's literally the drug product  
14 and the actual content of epoetin within that drug  
15 product.

16           DR. CRAMER: You mean the concentration?

17           DR. RAMACHANDRA: Concentration, yes.

18           DR. CRAMER: Everything else was the same?

19           DR. RAMACHANDRA: Yes.

20           DR. CRAMER: Thank you.

21           DR. RINI: Dr. Waldman?

22           DR. WALDMAN: My clarifying has to do with

1 immunogenicity. I apologize for my back. My  
2 understanding of the studies are that they  
3 demonstrated no neutralizing antibody and no  
4 episodes of pure red cell aplasia for either of the  
5 drugs that were tested.

6 Because I don't know this off the top of my  
7 head, I presume that the incidence of neutralizing  
8 antibodies and pure red cell aplasia, it's a low  
9 incidence of patients that are on epo.

10 DR. RAMACHANDRA: It's a rare event, yes.

11 DR. WALDMAN: This is for discussion. My  
12 question really had to do with making the statement  
13 of biosimilarity or equivalence between these two  
14 drugs when the populations that were tested were  
15 not suitably large enough to see any incidence at  
16 all of an event which is a rare event.

17 DR. RAMACHANDRA: Yes.

18 DR. WALDMAN: Really what my question had to  
19 do with.

20 DR. RAMACHANDRA: There's a baseline rate of  
21 PRCA based on the experience with epoetin over the  
22 history that the product has been on the market.

1 It's between 1.4 to 3.6 cases per about 10,000  
2 patient-years with subcutaneous uses and primarily  
3 in the population of chronic disease rather than  
4 oncology.

5 To put that into context, I'd like to ask  
6 Dr. MacDougall, who has extensive experience in  
7 this area, to address the question.

8 DR. MacDOUGALL: Thank you for the question.  
9 I'm a nephrologist in London, and I've been  
10 involved in working groups for clinical anemia  
11 practice guidelines internationally.

12 I think we're in a very fortunate position  
13 in 2017 in that we understand a lot more about this  
14 issue of antibody mediated pure red cell aplasia  
15 than we did when biosimilars were introduced in  
16 Europe 10 years go. We have 10 years' experience  
17 with biosimilar recombinant erythropoietin. We  
18 know a lot about the incidence of this product, as  
19 we've just heard, and we know about the mechanism  
20 of why this problem occurs.

21 Originally, the problem, we know it was due  
22 to an interaction between polysorbate 80 and rubber

1 leachates. With a subsequent root cause analysis  
2 with another product, we knew it was due to  
3 tungsten contamination of a syringe.

4           So we learned a lot about what induced these  
5 pure red cell aplasia, and I think we can be  
6 somewhat reassured that with the manufacturing  
7 processes that we're using nowadays and were used  
8 for this product, that we would not be expecting  
9 what we had 10 years ago with the originator and  
10 previous products.

11           DR. RAMACHANDRA: Thank you, Dr. MacDougall.

12           DR. WALDMAN: So essentially what I'm  
13 hearing is there's a really, really low incidence  
14 and a really, really low risk --

15           DR. RAMACHANDRA: Yes.

16           DR. WALDMAN: -- beyond what could be tested  
17 in this program.

18           DR. RAMACHANDRA: Yes.

19           DR. WALDMAN: I understand that. So the  
20 follow-on question to that is how will you go  
21 forward and monitor in the future to  
22 make -- because lots of patients are going to get

1 this in the future, and the population will  
2 ultimately become big enough to surface these  
3 episodes.

4 How will you monitor? What programs do you  
5 have to monitor in the future to make sure that  
6 there are no differences?

7 DR. RAMACHANDRA: Yes. So we have actually  
8 intensified pharmacovigilance monitoring process as  
9 part of this particular product category. It  
10 includes data capture aids to facilitate collection  
11 of details related to NAbS or PRCA.

12 The other one is a proactive request for ADA  
13 testing to be conducted at a central laboratory to  
14 aid diagnosis and guide patient treatment. We want  
15 to ensure that this rare event is captured, and  
16 based on our extensive experience in Europe, we  
17 recognize that the rates do occur at a baseline  
18 rate. But we want to ensure that it is captured if  
19 any cases do arise.

20 DR. WALDMAN: Was the rate the same in  
21 Europe with the biosimilar and the innovator?

22 DR. RAMACHANDRA: We have about 363,000



1 patient-years of experience in Europe with EU  
2 Retacrit. I do want to point out that the EU  
3 program is considered distinct from this program  
4 even though it's a highly related. I want to be  
5 respectful to that. But the EU program, there were  
6 two confirmed cases out of that 363,000.

7 So how we read it, again based on the  
8 baseline of either 1.4 or 3.6, it's at consistent  
9 or lower than what is the baseline rate that was  
10 seen previously. Thank you.

11 DR. RINI: Thank you. Dr. Uldrick?

12 DR. ULDRICK: Thanks. I have a few  
13 questions, mainly about extrapolation, but first  
14 one quick follow-up. For the two observed pure red  
15 cell aplasia cases, what were the underlying  
16 patient population?

17 DR. RAMACHANDRA: I'll ask Dr. Nancy Martin  
18 to go over those two particular cases.

19 DR. MARTIN: The two cases occurred in the  
20 chronic kidney disease population. One was  
21 pre-dialysis, and the other case was peritoneal  
22 dialysis.

1 DR. ULDRICK: Thank you.

2 My first question regarding extrapolation is  
3 actually to the FDA, and I'm looking at indication  
4 number 2, which is treatment of anemia due to  
5 zidovudine administration in HIV-infected patients  
6 with an EPO level less than 500. To a certain  
7 extent, this is a 26-year-old indication that is no  
8 longer relevant.

9 In thinking about extrapolation to this  
10 indication, I was wondering what the considerations  
11 are and how we should think about inclusion of  
12 outdated and potentially outdated indications.

13 DR. MARTIN: Consider the indication as  
14 current even though it is acknowledged by the  
15 agency that it is probably no longer significantly  
16 used. We have not taken the steps nor discussed  
17 with the innovator whether or not that indication  
18 was irrelevant and needed to be removed. So I  
19 think at this time, it remains part of the  
20 consideration.

21 DR. ULDRICK: The second question, I guess  
22 is for both the sponsor and the FDA, is related to

1       whether or not the indications for 2 and 3, the  
2       patient populations are similar enough to the  
3       patient populations were evaluated, the chronic  
4       kidney patients.

5               Specifically, although the mechanism of  
6       action of the drug is the same, the mechanism of  
7       anemia is different in these patient populations,  
8       and the immunogenicity is potentially different in  
9       these patient populations.

10              Is there any data on the comparability of  
11       immunogenicity of Procrit and Epogen in cancer and  
12       HIV patients compared to the chronic renal  
13       insufficiency patients that we could use to help  
14       make this decision?

15              DR. de CLARO:   Angelo de Claro with FDA.  
16       Our thinking with regards to granting licensure for  
17       all indications, it's not directly extrapolating  
18       the characteristics of one population to the other  
19       and comparing that.  It's based on a higher level  
20       of the totality of evidence of what your  
21       understanding is of the molecule with regards to  
22       you consider other attributes other than the

1 clinical properties.

2           If you have to start matching patient  
3 population characteristics, I think that would be  
4 very difficult to do across -- especially if you're  
5 dealing with very different indications. So our  
6 thinking is really more in line with regards to use  
7 all the available data that you have. That's why  
8 we're framing it just not based on the mechanism of  
9 action but also on product attributes, PK/PD,  
10 safety, and immunogenicity.

11           DR. ULDRICK: Thank you.

12           DR. RINI: Thank you. Dr. Mager?

13           DR. MAGER: Thank you. Don Mager from  
14 Buffalo.

15           This question is for the FDA, and I think it  
16 was partially answered with the last follow-up  
17 question from Dr. Waldman. But essentially, I had  
18 expected to see information and data coming from  
19 the European product since it's been available for  
20 over 10 years, and I was surprised not to see it.  
21 And I recognize that this is separate and not being  
22 considered as part of any bridging or anything in

1 this particular application, but I was wondering if  
2 the FDA was aware of any new safety or efficacy  
3 concerns from the European product.

4 DR. de CLARO: Angelo de Claro with FDA.  
5 The review approach FDA took for this product, as  
6 the sponsor had acknowledged, was that the European  
7 product is a related product. It's not the same as  
8 the proposed biosimilar product.

9 Dr. Christl's initial presentation on the  
10 overview -- actually, this allows the FDA to rely  
11 on use of non-U.S. comparators in our assessment.  
12 In this case, FDA does not have the complete  
13 scientific bridge in order to rely on the European  
14 data. The scientific bridge, as Dr. Christl  
15 mentioned, would have consisted of not just  
16 analytical but also consisted of clinical  
17 pharmacology and clinical data to establish that  
18 the relationship between the European product, the  
19 proposed biosimilar, and the U.S. reference  
20 product.

21 That was the approach that we -- that was  
22 the issue that we were faced with. We did not have

1 that complete scientific bridge to the EU product  
2 to allow us to bridge all of the clinical  
3 information for that.

4 DR. RINI: Dr. Lewis?

5 DR. LEWIS: Can I understand what you're  
6 saying? Are you saying that the chemical nature  
7 like the glycosylation of the European product is  
8 different than the one we're reviewing, or are you  
9 saying that for some reason the company just didn't  
10 give you the data that you wanted and needed? I'm  
11 confused.

12 DR. de CLARO: With regards to discussing  
13 proprietary information regarding a product  
14 characteristic, FDA cannot comment on that. But  
15 what we can say is because we did not have that  
16 complete scientific bridge is the reason why we  
17 couldn't rely on that data.

18 DR. RAMACHANDRA: The sponsor can comment.

19 DR. de CLARO: The sponsor can comment. FDA  
20 can't.

21 DR. LEWIS: But what you're saying, though,  
22 is that -- this comes to my question at the

1 beginning. Of course, it would be just wonderfully  
2 reassuring to look at all that European data and  
3 say nobody had a hypersensitivity reaction, they've  
4 given it to lots of people, it's all just great.  
5 But there is some reason why you're not having us  
6 extrapolate to that. And maybe the sponsor can  
7 clarify what that reason is.

8 DR. RAMACHANDRA: Let me, please, in three  
9 parts. So first of all, historically, we  
10 tech-transferred the same cell line, manufacturing  
11 processes, purification processes to a much higher  
12 scale in the United States for this particular  
13 program. We also adjusted the protein content to  
14 more match the US-reference product,  
15 Epogen/Procrit.

16 Dr. Vanden Boom can go over the  
17 comparability assessment that was done as part of  
18 that transfer and pre and post. And then I'd like  
19 to ask Dr. Paul Cornes to just go over the European  
20 experience from his perspective. He's a European  
21 physician, knows this area quite well, and he can  
22 talk about it. But from a perspective, we regard

1 this particular program as a U.S. application for  
2 the U.S. versus we actually did not perform a  
3 formal bridge from Europe to the U.S.

4 I'll ask Dr. Vanden Boom first to go up  
5 because of those changes that I mentioned, and then  
6 Dr. Cornes.

7 DR. VANDEN BOOM: Tom Vanden Boom, head of  
8 biosimilars pharmaceutical sciences for Pfizer. As  
9 Dr. Christl and Dr. de Claro noted, we did not do a  
10 three-way bridge, but what we did do, which I can  
11 briefly summarize for you, is a comparability study  
12 between the EU Retacrit product and our Epoetin  
13 Hospira product.

14 Slide up, please. That's summarized here.  
15 So over a wide range of attributes covering a  
16 structure and biological activity, comparability  
17 between these two versions of the product were  
18 confirmed. There were minor differences noted in  
19 this T5 trisulfide species described earlier. They  
20 weren't biologically significant.

21 DR. LEWIS: And the glycan product?

22 DR. VANDEN BOOM: The glycosylation, as you



1 would expect from using a same cell line and same  
2 manufacturing process, is very comparable between  
3 the two products.

4 DR. CORNES: Thank you. I'm Dr. Paul  
5 Cornes. I'm an oncologist from Bristol at England.  
6 We've used these products extensively for the last  
7 10 years, and I built the economic model for NISAR,  
8 our national health technology assessment group, to  
9 look at epoetins in cancer.

10 The bottom line really is that we have  
11 several epoetin biosimilars in Europe, and all of  
12 them have extrapolated successfully to the oncology  
13 indication. So I could show you for several meds,  
14 but let's just take the European Retacrit.

15 If I could bring up slide 29 for you, and  
16 show you here that with more than 4,700 patents in  
17 the cancer label in Europe, across four studies,  
18 you see that our effectiveness is as expected, 7 to  
19 9 out of every 10 patients will respond  
20 hematologically. Serious complication rate, our  
21 venous thrombotic episode rate, is in the 1 to  
22 4 percent range, which exactly matches the label.

1           If you're looking for even larger  
2           populations and smaller databases, then I'm going  
3           to take you to a population study in northern Italy  
4           where a population of 6 million, we link the  
5           diagnosis database and the prescribing database and  
6           the outcomes database, looking for even rarer  
7           events.

8           So if I can bring up the slide for that and  
9           show you the Trotta study, which is slide 28, if I  
10          can have slide 28 there. Slide 28 tracks a  
11          population of 6 million patients and looks at  
12          patients exclusively treated either by biosimilars  
13          or by originator drugs.

14          Looking at the outcomes of death, of needs  
15          for transfusion, for major cardiac acute events,  
16          and blood dyscrasias to pick up pure red cell  
17          aplasia, you'll see with that size database, the  
18          hazard ratios all are across normal, which  
19          reassures us that the process of delivering  
20          biosimilars actually works for cancer patients,  
21          too.

22                 DR. RAMACHANDRA: Thank you, Dr. Cornes.

1 DR. RINI: Thank you.

2 Dr. Lewis, I had you in my list for another  
3 question. Did you get all your questions answered?

4 DR. LEWIS: (Inaudible -- off mic.)

5 DR. RINI: Turn your microphone on.

6 DR. LEWIS: I have a question, if you could  
7 comment on not just the red blood cell aplasia but  
8 the hypersensitivity reactions that can occur as an  
9 immunological response and what is the relationship  
10 between the presence of the antidrug antibodies and  
11 those hypersensitivity reactions historically with  
12 EPO products specifically.

13 I know that glycosylation, for example in  
14 the renal world in IgA nephropathy, changes in that  
15 can certainly lead to immunologic responses. So  
16 I'm concerned about not just the presence of the  
17 antidrug antibodies or whether they're still  
18 bioactive despite them but also hypersensitivity.

19 DR. RAMACHANDRA: Yes. Dr. MacDougall is an  
20 immunology expert in this particular area. He'd  
21 probably be best to give you the overview for  
22 epoetins and hypersensitivity.

1 DR. MacDOUGALL: Hi. Ian MacDougall again.  
2 Thanks, Dr. Lewis, for your question. You're  
3 absolutely right. I think glycosylation does  
4 influence immunogenicity. But I think if you look  
5 at it specifically in relation to the epoetin  
6 products, the classical or perhaps paradigm would  
7 be taking darbepoetin alfa, which is super  
8 glycosylated, is a modified increased  
9 immunogenicity with darbepoetin versus epoetin, and  
10 there's not.

11 We have experience from the PREMs registry,  
12 which I was the lead investigator on, which showed  
13 that in thousands of patients, over 15,000  
14 patients, there was no change in the rate of  
15 immunogenicity versus darbepoetin versus epoetin.

16 We also have, if we can call up slide -- we  
17 have 10 years' experience of European Union  
18 regulatory pathway. If we can call up the slide  
19 KR-33?

20 This paper was published in by Paul  
21 Chamberlain, and it basically shows that there are  
22 no observed differences in clinically relevant

1 immunogenicity between approved biosimilar and  
2 originator products since the EMA authorized these  
3 products 10 years ago.

4 So I think we're in a very fortunate  
5 position. We have 10 years' experience of  
6 comparison of products. I don't think  
7 glycosylation impacts hugely on the likelihood of  
8 immunogenicity.

9 DR. RAMACHANDRA: Thank you, Dr. MacDougall.

10 DR. RINI: Thank you. Dr. Cole, did you  
11 have a question?

12 DR. COLE: I wanted to ask about the two  
13 studies that are efficacy and safety studies.  
14 Looking at the hemoglobin levels, I was just  
15 wondering if you had any summaries of how the  
16 hemoglobins looked over time during those studies.

17 DR. RAMACHANDRA: I'd like to ask Dr. Martin  
18 to address that question.

19 DR. MARTIN: During the course of the  
20 studies, we actually examined hemoglobin levels on  
21 a weekly basis. We have this in the briefing book,  
22 and I'll show it here in figure 40 that actually

1 provides both for the sub-Q on the left and the IV  
2 study on the right.

3 The Epoetin Hospira is in blue. The Epogen  
4 reference product is in red. I've given you an  
5 assessment of repeated measures throughout the  
6 course of the studies. These data are consistent  
7 between the two treatment products. Thank you.

8 DR. COLE: One last question. For the  
9 dropouts that occurred in those studies, was the  
10 timing of the dropouts roughly similar between --

11 DR. RAMACHANDRA: I'll ask Dr. Martin to  
12 address that in terms of the dropout timing.

13 DR. MARTIN: Yes. We examined the timing of  
14 dropout between the two treatment groups, and there  
15 was no statistically significant difference in the  
16 timing of discontinuation between patients on  
17 Epoetin Hospira and Epogen arms in either the sub-Q  
18 study or the IV studies, as shown here.

19 DR. COLE: Thank you.

20 DR. RINI: Dr. Cramer?

21 DR. CRAMER: I have one last question about  
22 scale. We have these different lots of material,

1 and again, sorry for my back, too. And the  
2 question is, some were at 400 liter scale; some  
3 were at 20,000 liter scale. And I'm wondering  
4 about the different lots.

5 Do we have a flavor for which ones came from  
6 which scale, and would that have had an impact?

7 DR. RAMACHANDRA: With clarification, the  
8 commercial scale is 20,000 liters. But I'll ask  
9 Dr. Vanden Boom to talk about the data in terms of  
10 scale. Dr. Vanden Boom?

11 DR. VANDEN BOOM: So for the biosimilarity  
12 assessment, 100 percent of the lots used in that  
13 formal assessment are from the proposed commercial  
14 manufacturing scale, which is 20,000 liter scale.  
15 You may have noted in the briefing book references  
16 to smaller scale. That's typically done, as I know  
17 you're aware, in tech-transfers. So before you  
18 leap to 20,000 liters, you confirm that you're  
19 seeing what you're expecting to see at 400 liters.  
20 But in summary, all of the biosimilarity assessment  
21 was done with materials produced at the proposed  
22 commercial scale.

1 DR. CRAMER: The reason I asked the question  
2 is because if you look at the text here, it says  
3 that the lot for the drug product for both the  
4 13-week comparative toxicology studies was from the  
5 400 liter scale. Is that incorrect?

6 DR. VANDEN BOOM: I'll briefly comment, and  
7 Dr. Ramachandra can comment. So for the analytical  
8 biosimilarity assessment, which is what I was  
9 speaking to, we used exclusively the commercial  
10 scale.

11 DR. CRAMER: But for this one, not.

12 DR. RAMACHANDRA: For this one specifically,  
13 it was an early study and was not done -- as the  
14 FDA mentioned, for the totality of biosimilarity  
15 assessment but as part of the entry to inhuman  
16 study, the two species were done.

17 DR. CRAMER: Thank you.

18 DR. RINI: Are there any other questions,  
19 clarifying questions for the sponsor?

20 (No response.)

21 **Open Public Hearing**

22 DR. RINI: If not, we'll start the open



1 public hearing.

2 Both the FDA and the public believe in a  
3 transparent process for information-gathering and  
4 decision-making. To ensure such transparency at  
5 the open public hearing session of the advisory  
6 committee meeting, FDA believes it is important to  
7 understand the context of an individual's  
8 presentation.

9 For this reason, FDA encourages you, the  
10 open public hearing speaker, at the beginning of  
11 your written or oral statement to advise the  
12 committee of any financial relationships that you  
13 may have with the sponsor, its product, and if  
14 known, its direct competitors. For example, this  
15 financial information may include the sponsor's  
16 payment of your travel, lodging, or other expenses  
17 in connection with your attendance at this meeting.

18 Likewise, FDA encourages you at the  
19 beginning of your statement to advise the committee  
20 if you do not have any such financial  
21 relationships. If you choose not to address this  
22 issue at the beginning of your statement, it will

1 not preclude you from speaking.

2 The FDA and this committee place great  
3 importance in the open public hearing process. The  
4 insights and comments provided can help the agency  
5 and this committee in their consideration of the  
6 issues before them.

7 That said, in many instances and for many  
8 topics, there will be a variety of opinions. One  
9 of our goals today is for this open public hearing  
10 to be conducted in a fair and open way where every  
11 participant is listened to carefully and treated  
12 with dignity, courtesy, and respect. Therefore,  
13 please speak only when recognized by the  
14 chairperson. Thank you for your cooperation.

15 I'll ask speaker number 1 to step up to the  
16 podium and introduce yourself and any organization  
17 you're representing.

18 MS. CARDEN: Good morning. My name is Mary  
19 Jo Carden, and I represent the Academy of Managed  
20 Care Pharmacy, and I have no conflicts to report  
21 today.

22 The focus of my discussion will be on the

1 biosimilar's pathway implementation and the policy  
2 issues from AMCP's perspective and not on the  
3 specific product itself.

4 I'd like to thank you for the opportunity to  
5 present AMCP's perspective on the biosimilar  
6 pathway. AMCP is the leading professional  
7 organization dedicated to increasing patient access  
8 to affordable medicines, improving health outcomes,  
9 and ensuring the wise use of health dollars.

10 Through evidence and value-based strategies  
11 and practices, the academy's 8,000 pharmacists,  
12 physicians, nurses, and other practitioners manage  
13 medication therapies for the 270 million Americans  
14 served by health plans, pharmacy benefit management  
15 firms, and emerging care models and the government.

16 AMCP supports the implementation of a robust  
17 biosimilars pathway to ensure that Americans  
18 continue to receive access to safe, effective, and  
19 affordable biologics and biosimilars. AMCP has  
20 been working extensively with FDA and other  
21 stakeholders on federal and state legislation and  
22 regulations that impact the biosimilars pathway.

1 Recently, AMCP had made biosimilars education for  
2 healthcare providers a key priority.

3 AMCP applauds the FDA for releasing draft  
4 guidance on interchangeability and finalizing  
5 guidance on naming and labeling. While we continue  
6 to have concerns with some provisions in the draft  
7 and final guidance documents, AMCP is generally  
8 pleased that the FDA has provided additional  
9 clarity on the implementation of the pathway.

10 In regard to interchangeability, AMCP  
11 generally supports the flexible stepwise and  
12 totality of evidence approach to demonstrating  
13 interchangeability. AMCP also commends the FDA for  
14 not being too prescriptive and recognizing that a  
15 one-size-fits-all approach is not feasible, given  
16 the complexity of the biologic and biosimilar  
17 products.

18 In comments, AMCP noted several factors that  
19 should be considered by FDA before finalizing the  
20 guidance. AMCP supports the ability of applicants  
21 seeking interchangeable designation to use  
22 switching studies for non-US-licensed reference

1 products. There is no scientifically justifiable  
2 distinction between reference products acquired in  
3 the United States and those licensed in other  
4 comparable markets.

5 AMCP encourages FDA to align the final  
6 interchangeability guidance with existing  
7 requirements for reference products, which permit  
8 the use of non-US-licensed reference products when  
9 a bridging study to the U.S. exists.

10 AMCP also encourages FDA to consider the  
11 following issues as it finalizes the guidance:  
12 whether new or expanded indications for a reference  
13 product would also be considered interchangeable,  
14 including the manner in which the labels will be  
15 harmonized; naming of interchangeable biologic  
16 products; possibility of interchangeability from  
17 biosimilar to biosimilar in the future; and whether  
18 follow-on products approved under the 505 pathway  
19 will be considered interchangeable or biosimilars  
20 when incorporated into the 351(k) pathway.

21 AMCP is pleased that the draft  
22 interchangeability guidance includes the

1 possibility of using postmarketing surveillance and  
2 pharmacovigilance for purposes of making  
3 interchangeability determinations.

4 AMCP has taken a proactive approach to  
5 pharmacovigilance. For example, the AMCP biologics  
6 and biosimilars collective intelligence consortium,  
7 BBCIC, proactively monitors both biologics and  
8 biosimilars using data from distributive research  
9 networks for millions of de-identified patients.

10 BBCIC research protocols are currently in  
11 progress and initial research findings are  
12 anticipated to be presented in the fall of 2017.  
13 BBCIC will serve as a valuable resource to address  
14 important questions about the use, impact, safety,  
15 and clinical effectiveness of biologics and  
16 biosimilars on human health.

17 In regard to the final guidance document for  
18 naming and labeling that have helped provided  
19 clarity on the requirements of the biosimilar  
20 pathway, AMCP remains concerned about the final  
21 naming guidances use of a randomized 4-letter  
22 suffix for all biologics and biosimilars. AMCP

1 does support the use of a shared non-proprietary  
2 name for biosimilars, reference products, and  
3 interchangeable products, as well as a requirement  
4 to use the NDC code on all claims to identify  
5 product, lot number, and package size.

6 AMCP believes that the use of the random  
7 4-letter suffix does not ensure easy product  
8 identification. Rather, the suffix adds an  
9 additional unnecessary data element that, A, may  
10 result in medication errors because of  
11 transcription errors in databases associated with  
12 the additional characters added by the suffix; and  
13 B, may lead to disincentives to the use of  
14 biosimilars for the reference product because they  
15 appear unrelated to each other.

16 Last but not least, AMCP has made a  
17 significant commitment to educating healthcare  
18 providers, including pharmacists, physicians, and  
19 nurses. In 2016, we launched the Biosimilars  
20 Resource Center, [www.biosimilarsresourcecenter.org](http://www.biosimilarsresourcecenter.org),  
21 to provide an unbiased, policy-neutral repository  
22 of educational resources and information on

1 biosimilars.

2 AMCP is joined in these efforts by the  
3 American Association of Colleges of Pharmacy,  
4 America's health insurance plans, the American  
5 Pharmacists Association, the American Society of  
6 Consultant Pharmacists, the Hematology and Oncology  
7 Pharmacists Association, the National Alliance of  
8 State Pharmacy Associations, and the National  
9 Community Pharmacists Associations.

10 AMCP believes that in addition to a robust  
11 pathway to facilitate adoption of biosimilars in  
12 the United States, education of healthcare  
13 providers and consumers is equally as important.  
14 AMCP also supports FDA's initiatives on biosimilars  
15 education.

16 To wrap up, thank you again for this  
17 opportunity, and AMCP looks forward to continuing  
18 its work with FDA and other stakeholders on  
19 implementing the biosimilars pathway and providing  
20 education.

21 DR. RINI: Thank you. Speaker number 2?

22 MS. ARNSTEN: Good morning. My name is



1 Kathleen Arnsten. I have nothing to disclose. I'm  
2 here representing LADA, PBSA, and ASBM. Thank you  
3 for the opportunity to provide my unique  
4 perspective.

5 Biosimilar drugs hold tremendous promise and  
6 therapeutic advantage for people like me just as  
7 biologic medicines have for millions of Americans.  
8 Like many others who suffer from lupus, I have  
9 several other autoimmune disorders, including  
10 anemia and kidney disease. I currently take  
11 42 medications a day and have unique allergies and  
12 sensitivities to both active and inactive  
13 ingredients in drugs.

14 Please understand no one-size-fits-all  
15 products exist for complex patients like me. Our  
16 immune response to treatments is unique, contrary,  
17 and at times, adverse. Due to the heterogenous  
18 nature of autoimmune diseases, no two cases are  
19 alike, and treatment is highly individualized.

20 At this initial juncture of biosimilar  
21 development, we believe that it is critical for  
22 both patients and physicians to be confident that

1 these drugs are safe and as effective as the  
2 original innovator. In order to be designated as  
3 interchangeable, biosimilars must produce the same  
4 clinical result in any given patient in each  
5 condition for which the biologic reference product  
6 was approved. Therefore, we support a policy  
7 requiring rigorous criteria that includes  
8 nonclinical and clinical data.

9 Any product that is named interchangeable  
10 must be shown to be safe and effective for patients  
11 in a future marketplace that could have multiple  
12 biosimilars and interchangeable products for one  
13 originator biologic, which would likely lead to  
14 patients being switched multiple times over the  
15 course of their treatment.

16 Given that the FDA has not yet finalized  
17 guidance on interchangeability, please keep in mind  
18 complex autoimmune patients who do not fit the norm  
19 as you review the application with regards to  
20 patient safety.

21 We applaud the FDA for establishing guidance  
22 for distinguishable suffixes and support the

1 establishment of a biosimilars policy that includes  
2 unique nonproprietary names with meaningful  
3 suffixes for future interchangeable biosimilars in  
4 order to ensure patient safety; provide vital  
5 transparency and aid in accurate product  
6 identification during the prescribing, dispensing,  
7 and pharmacovigilance processes; and promote  
8 compliance and ensure timeliness in addressing  
9 adverse events.

10 Utilizing discernible names is critically  
11 important in identifying exactly which medicine was  
12 received if an adverse event does occur since in  
13 reality, biologics or biosimilars will be  
14 administered to individuals like me suffering from  
15 serious life-threatening diseases who are usually  
16 taking multiple concomitant medications.

17 The FDA review and approval process must  
18 also properly evaluate the biosimilar through  
19 postmarketing surveillance in order to not diminish  
20 product efficacy and be detrimental to patient  
21 safety. Pre-approval, nonclinical and clinical  
22 testing will establish that there are no meaningful

1 differences in safety, efficacy, or mechanism of  
2 action comparability. However, only routine life  
3 experience will show this in distinct  
4 subpopulations. Therefore, accurate post-approval  
5 tracking is absolutely crucial.

6           Pharmacovigilance is essential for all  
7 biological medicines because these treatments may  
8 produce idiosyncratic or immunogenic reactions in  
9 patients like me who may also be hypersensitive to  
10 changes in production methods or impurities.

11           Adverse effects are difficult to predict and  
12 may only occur after many years of treatment.  
13 Because biosimilars go through an abbreviated  
14 review process, the FDA must do more to implement  
15 comprehensive postmarket tracking and reporting to  
16 detect safety problems with these treatments. As a  
17 matter of fact, the biologic originator of the  
18 product being considered by the FDA this week has a  
19 black box warning on its label due to its  
20 connection with a rare serious adverse reaction.  
21 This exceptional but potentially fatal event shows  
22 the need for an aggressive postmarketing tracking

1 system.

2 As an individual who was harmed by an  
3 egregious payer utilization management practice and  
4 am now blind in my right eye, I am extremely  
5 concerned that patients who are stable on a  
6 biologic will be switched for nonmedical reasons to  
7 a biosimilar that has not been determined to be  
8 interchangeable by the FDA.

9 We realize the FDA does not have any  
10 jurisdiction over insurance companies or PBMs, but  
11 we anticipate that payers will promote use of  
12 biosimilars. And therefore, we urge you to provide  
13 robust safeguards to protect patients such as  
14 applying strong scientific safety standards and  
15 publishing an official statement that switching a  
16 stable patient to a non-interchangeable biosimilar  
17 is perilous.

18 In conclusion, I ask you to develop a  
19 comprehensive education program for all  
20 stakeholders, including prescribers, pharmacists,  
21 patients, and public officials, in order for these  
22 drugs to advance. And I thank you again for the

1 opportunity to share my perspective as you evaluate  
2 this BLA and applaud the FDA for continually  
3 recognizing the importance of the patient voice  
4 during the drug review process.

5 DR. RINI: Thank you. Speaker number 3?

6 MR. La MOTTE: Hello. My name is Larry La  
7 Motte. I'm speaking here on behalf of Patients for  
8 Biologics Safety and Access, better known as PBSA,  
9 and I have nothing to disclose or report.

10 PBSA is a coalition of more than 20 patient  
11 organizations representing millions of Americans  
12 who suffer from serious life-threatening diseases  
13 that are difficult to diagnose and treat. Our  
14 members typically experience a healthcare system  
15 that takes years to identify appropriate providers,  
16 produce an accurate diagnosis, and discover the  
17 best course of treatment to bring greater stability  
18 for more optimal health outcomes. As patient  
19 advocates, our goal is to ensure that patient  
20 safety is paramount as the FDA implements the  
21 BCPIA.

22 My statement today focuses primarily on the

1 broader issues relating to the biosimilars'  
2 pathway. First off, FDA should promptly, as soon  
3 as possible, finalize their interchangeability  
4 guidance, taking patient concerns into account, and  
5 should do so before any biologic is ever designated  
6 as interchangeable.

7           The reason why this is important, and  
8 Kathleen touched on this, is that we find that the  
9 urgency, given the recent steps by major insurers  
10 and pharmacy benefit managers in the absence of  
11 such guidance -- while none of the four biosimilars  
12 were approved to be interchangeable, payers are  
13 moving through the use of formularies and taking  
14 reference products off their formularies and  
15 instead putting biosimilars, forcing nonmedical  
16 switching of patients who are stable. This is  
17 unconscionable, and it goes against the law.

18           We need to protect stabilized patient from  
19 nonmedical switching, and we call on the FDA in its  
20 guidance to develop policies relating to that to  
21 discourage that kind of effort.

22           We have submitted details comments on other

1 aspects of the draft interchangeability guidance,  
2 but there are two things that I'd like to touch on.  
3 The final guidance should appropriately reflect the  
4 clearly different and higher standard for  
5 interchangeability provided by Congress to protect  
6 patient safety, including substantial clinical  
7 testing beyond that required for filing a product  
8 biosimilar, and it should also require  
9 interchangeable biosimilars to have distinct  
10 nonproprietary names with meaningful suffixes.

11 Since biosimilars go through an abbreviated  
12 review process and are regularly approved to treat  
13 conditions, FDA must require aggressive postmarket  
14 tracking and reporting to detect safety problems.  
15 That does not exist at this time, and we hope that  
16 that will come about very soon.

17 As indicated today with this particular  
18 product and the black box warning on its labeling,  
19 we see it's even more important to get that  
20 underway as soon as possible. And we also  
21 recognize that FDA must have also the adequate  
22 staffing and resources to carry out that.



1           Again, with respect to some of the things  
2           that Kathleen said, we also are very interested in  
3           making sure that the FDA consider the creation of a  
4           patient engagement advisory committee for  
5           biosimilars. We note that the FDA is looking to  
6           increase its amount of patient engagement with the  
7           possible creation of an office of patient  
8           engagement, and we wholeheartedly support that in  
9           hope that there will be a specific type of advisory  
10          committee for the pathway for biosimilars.

11           I thank you very much for considering our  
12          views on these very important issues because we are  
13          very concerned about the safety of patients, that  
14          they have confidence that the drugs that are coming  
15          before them are safe and efficacious. I don't have  
16          anything more to say. Thank you very much.

17           DR. RINI: Thank you. Speaker number 4?

18           MR. PHILLIPS: Good morning. My name is  
19          Thair Phillips. I'm the president of RetireSafe, a  
20          nationwide nonprofit advocacy organization for  
21          older Americans. I have nothing to declare.

22           I'm here today representing our 200,000

1 supporters and activists and to give a voice to  
2 many of those who are patients receiving the new  
3 life-extending and life-enhancing medicines.  
4 RetireSafe wants both biosimilars and  
5 interchangeable products to be successful. That  
6 success in a large part depends on the confidence  
7 that doctors, pharmacists, and patients have that  
8 these products are safe, effective, and accessible.

9 In past surveys, our people overwhelmingly  
10 confirmed that seniors want clear labeling,  
11 distinct names, and effective communication between  
12 the pharmacist and the doctor. We will continue to  
13 focus on safety, effectiveness, and accessibility.

14 We are encouraged by the number of drug  
15 manufacturers who have created biologics that have  
16 also entered the biosimilar marketplace. This is  
17 evident in the biosimilar being discussed today.

18 As we have stated in the past, we feel it  
19 would be prudent for the FDA, as they finalize  
20 regulations on biosimilars and interchangeability,  
21 to listen closely to these manufacturers'  
22 recommendations. They have an important and

1 balanced perspective.

2 The biosimilar being discussed today  
3 continues the emergence of this important area of  
4 medicine. We hope that this trend will continue  
5 but see complications arising that will require  
6 detailed guidance to address situations like if a  
7 biosimilar already exists for a reference product,  
8 will the second biosimilar need to be tested  
9 against the existing biosimilar?

10 Will a biosimilar be allowed to be approved  
11 for a subset of the reference product's  
12 indications? Will the label clearly identify the  
13 product as a biosimilar or as an interchangeable?  
14 These are important considerations that RetireSafe  
15 feels should be addressed by the FDA.

16 RetireSafe was also encouraged by the draft  
17 guidance dealing with interchangeable products that  
18 was recently released. The FDA draft guidance  
19 deals directly with how substitution will be  
20 regulated at the pharmacy, including adherence to  
21 the doctor's prescription and adherence to the  
22 drug's label. Many states have laws concerning

1 interchangeably products that outline required  
2 communication between the pharmacist and the  
3 doctor.

4           What is missing in the recent draft guidance  
5 is guidance concerning substitution that occurs  
6 outside of the pharmacy. When the rules on  
7 interchangeability are finalized, we are confident  
8 that the FDA will aggressively enforce these rules  
9 to maintain the safety of the patient. RetireSafe  
10 thinks that the FDA cannot continue to maintain  
11 this safety without extending their final guidance  
12 to include the entire supply line.

13           Today, the FDA monitors closely the  
14 manufacturing and shipping of pharmaceuticals to  
15 ensure that the product that was approved by the  
16 FDA is delivered to the patient. They ensure that  
17 no ingredient was substituted, no inferior  
18 manufacturing methods were used, and that shipping  
19 requirements were adhered to. If a biosimilar was  
20 substituted for a reference product during  
21 shipping, the FDA would immediately take action.

22           RetireSafe thinks that a similar type of

1       unauthorized substitution is already taking place  
2       when a PBM or insurance company removes a reference  
3       product from its formulary. This creates a barrier  
4       to access for the patient, and in many cases,  
5       forces a substitution, a substitution that would  
6       not be tolerated at a pharmacy.

7               We think that the recent change to the  
8       Purple Book concerning substitution reveals the  
9       intent of the FDA to limit unauthorized  
10       substitution, but it focused on the pharmacy rather  
11       than on the entire supply line, and therefore would  
12       not limit this outside the pharmacy type of  
13       unauthorized substitution. If this practice is  
14       allowed to continue, not only will the safety of  
15       the patient be threatened, but manufacturers will  
16       have no incentive to apply for the interchangeable  
17       designation.

18               We believe that, whether through final  
19       guidance or through recommendations to HHS or  
20       Congress, the FDA needs to aggressively protect the  
21       patient's safety by eliminating this type of  
22       unauthorized substitution.

1           RetireSafe recognizes the difficult task  
2           that FDA has ensuring the safety of patients.  
3           Biologics are a wonderful but complicated medicine.  
4           We want the increased access that biosimilars and  
5           interchangeables offer. We think that ensuring  
6           patient safety at the beginning will earn the  
7           confidence of the patient, the doctor, and the  
8           pharmacist and will allow us to realize these  
9           promised savings. Thank you.

10           DR. RINI: Thank you. Speaker 5?

11           DR. CRYER: Good morning. My name is  
12           Dr. Dennis Cryer, and I am the lead co-convener  
13           physician of the Biologics Prescribers  
14           Collaborative or BPC. We are a project of the  
15           Alliance for Patient Access or AfPA, and we work  
16           together on a lot of issues. I want to comment  
17           that our organization is very much aligned with the  
18           comments of the three speakers that immediately  
19           preceded me.

20           Basically, today I have four points that I  
21           want to make. Our full comment has been submitted  
22           to the docket and is available for your reading

1 pleasure at your leisure, and I do know that  
2 actually the FDA people do read those. So I'm  
3 confident that it will be carefully considered.

4 The four points I want to make today are the  
5 following. First, for biosimilar product labeling,  
6 they must contain all the needed data for  
7 physicians to make the appropriate prescribing  
8 decisions for their patients. Label is a critical  
9 tool for physicians to make prescribing decisions  
10 and to manage potential adverse events. As such,  
11 it is of the utmost importance that any drug label  
12 be complete and accurate.

13 A biosimilar label identical to that of its  
14 reference product omits readily available product-  
15 specific and often important data, which may by its  
16 absence imply that the biosimilar is  
17 interchangeable with the reference product and  
18 approved for all of the same indications when in  
19 fact it may not be.

20 A biosimilar, unlike a generic small  
21 molecule, has its own clinical data. Thus, there  
22 will be likely specific information from the data

1 package that will help physicians. Most  
2 importantly, it would be the provision of  
3 information on immunogenicity, which can vary from  
4 the reference product biologic. Greater inclusion  
5 of data will increase physician confidence, protect  
6 patients and lead to greater and more informed  
7 utilization.

8           The second point is simply that the FDA  
9 should proceed with caution when considering  
10 biosimilar application requests for indication  
11 extrapolation. I won't go into this because I  
12 think it was nicely discussed and thoroughly  
13 discussed this morning by the FDA.

14           The third point that I want to make is that  
15 FDA should provide clear and concise guidance to  
16 industry surrounding interchangeability,  
17 particularly the interchangeability among  
18 biosimilars and their reference products. Again,  
19 this has been discussed a fair bit today. The  
20 draft guidance was recently closed to comments, and  
21 we look forward to a final guidance being  
22 developed.



1           We favor a more rigorous approach to  
2 demonstrating interchangeability rather than a less  
3 rigorous one, and I think the scientists and  
4 clinicians among us would all agree.

5           With an increasing number of biosimilars in  
6 the developmental pipeline, BPC expects some will  
7 be put forward with the interchangeable status. As  
8 FDA works to finalize their draft guidance, it is  
9 critical that sponsors are provided sound direction  
10 that ensures transparency, patient safety, and  
11 physician confidence.

12           To provide clarity for physicians and their  
13 patients, labeling for interchangeable biosimilars  
14 should include a statement of whether the  
15 biosimilar is interchangeable with the reference  
16 product and/or other biosimilars on the market, and  
17 for which specific indications interchangeability  
18 was demonstrated.

19           Fourth, each biological product needs a  
20 distinguishable nonproprietary name. This guidance  
21 is out. While we had hoped for meaningful naming,  
22 we do appreciate FDA's careful consideration of

1 this important issue and the requirement at least  
2 for distinct names. As we gain real-world  
3 experience using these new medicines, we look  
4 forward to working with the agency to amend  
5 policies where we can achieve greater patient  
6 benefit and safety, including potentially evolving  
7 to a meaningful suffix.

8 The last thing I wanted to mention today,  
9 which was not one of my original four bullet  
10 points, was my concern about the observation of GCP  
11 noncompliance in the application. I think this is  
12 always a concern in the development of small drug  
13 molecules. In the biologics, I think it becomes an  
14 even more important one.

15 I was encouraged by the sponsor's mention of  
16 the process of tech-transfer to the United States  
17 to scale up for production, and I hope that under  
18 Pfizer's guidance, GCP will not continue to be an  
19 issue. But I think for all of the biosimilars,  
20 particularly those that have been developed by  
21 smaller less well-known and less sophisticated,  
22 perhaps, companies, I think it's a concern that we

1 need to be mindful of.

2 I thank you for this opportunity today for  
3 me to speak on behalf of Biologics Prescribers  
4 Collaborative and wish you well in your  
5 deliberations. Thank you.

6 **Questions to the Committee and Discussion**

7 DR. RINI: Thank you. The open public  
8 hearing portion of this meeting is now concluded,  
9 and we will no longer take comments from the  
10 audience. The committee will turn its attention to  
11 the task at hand, the careful consideration of the  
12 data as well as consideration of the public  
13 comments.

14 We'll now proceed with the question to the  
15 committee and the panel discussion. I'd like to  
16 remind public observers that while the meeting is  
17 open for public observation, public attendees may  
18 not participate except at the specific request of  
19 the panel.

20 If I could have the question up. The way  
21 this is going to work is that there are three  
22 discussion points and then there's one voting

1 question. We'll go through in turn each of the  
2 three discussion points, ask for comments from the  
3 committee, and then we'll turn to the final voting  
4 question.

5 The first discussion point is please discuss  
6 whether evidence from analytical studies supports a  
7 demonstration that Epoetin Hospira is highly  
8 similar to US-licensed Epogen/Procrit  
9 notwithstanding minor differences in clinically  
10 inactive components.

11 I'll ask our panel members to weigh in  
12 specifically. There are analytical experts to  
13 discuss their views on this. Dr. Hancock?

14 DR. HANCOCK: In listening to the  
15 presentations and reviewing the documents, and also  
16 having the company responses to some detailed  
17 questions, I feel that the analytical comparability  
18 has been established.

19 DR. RINI: Thank you.

20 Are there other comments from an analytical  
21 perspective on this discussion point? Dr. Cramer?

22 DR. CRAMER: I agree.

1 DR. RINI: Thank you.

2 Anybody else? Any other points on this  
3 discussion?

4 (No response.)

5 DR. RINI: We'll turn our attention to  
6 discussion point number 2. Please discuss whether  
7 there are no clinically meaningful differences  
8 between Epoetin Hospira and US-licensed  
9 Epogen/Procrit based on the results from the  
10 clinical studies.

11 Comments from the committee about this  
12 discussion point? Dr. Waldman?

13 DR. WALDMAN: I think from the data that was  
14 presented, it's a fair statement to make to say  
15 that they are comparable in the things that could  
16 be measured.

17 DR. RINI: Thank you. I agree.

18 Other discussion points about whether there  
19 are clinically meaningful differences from the data  
20 presented between these two products?

21 Dr. Nowakowski.

22 DR. NOWAKOWSKI: I agree. I think presented

1 studies were convincing in this regard.

2 DR. RINI: So in summary, the committee  
3 agrees that there are no clinically meaningful  
4 differences between these products based on the  
5 data presented.

6 Discussion point number 3, please discuss  
7 whether there is adequate scientific justification  
8 to support licensure for all of the proposed  
9 indications for the product at hand. Dr. Uldrick.

10 DR. ULDRICK: I agree that the mechanism of  
11 action and similarity of quality attributes and PK  
12 and PD are similar. I, however, have residual  
13 concerns about immunogenicity and efficacy and  
14 safety in patients with HIV and patients with  
15 cancer. The concerns about patients with cancer  
16 are somewhat answered by looking at the  
17 postmarketing data from Europe, but we were  
18 instructed not to look at that data in reviewing  
19 the product today.

20 DR. RINI: Thank you.

21 Are there other comments about this  
22 discussion point? Dr. Lewis?

1 DR. LEWIS: I would say the hemodialysis  
2 patients, the population it was tested in, are  
3 patients who are immunocompromised and might have a  
4 reduced immunologic response. And the  
5 hypersensitivity/antigenicity issue I think is one  
6 that remains, that's a consideration.

7 DR. RINI: I agree. It'd be nice to see  
8 more data across the proposed indications within  
9 the limitations of the regulatory pathway.

10 Other committee member discussion points or  
11 contributions to this discussion point?

12 (No response.)

13 DR. RINI: It sounds like there are some  
14 concerns about the applicability of the data across  
15 indications, maybe mostly related to  
16 immunogenicity.

17 Now we will turn our attention to the vote.  
18 This is the question for the vote. I will read it  
19 to you.

20 Does the totality of evidence support  
21 licensure of Epoetin Hospira as a biosimilar  
22 product to US-licensed Epogen/Procrit for the

1 following indications for which US-licensed  
2 Epogen/Procrit is currently licensed and for which  
3 the applicant is seeking licensure?

4 Does anybody have any questions about the  
5 question, any points of clarification needed for  
6 what we're asking here?

7 (No response.)

8 DR. RINI: If there's no further  
9 clarification questions, we'll now begin the voting  
10 process. We'll be using an electronic voting  
11 system. Once we begin the vote, buttons will start  
12 flashing and continue to flash even after you have  
13 entered your vote. Press the button firmly that  
14 corresponds to your vote. If you're unsure of your  
15 vote or wish to change your vote, you may press the  
16 corresponding button until the vote is closed.

17 After everyone has completed their vote, the  
18 vote will be locked in. The vote will then be  
19 displayed on the screen. Lauren will then read the  
20 vote from the screen into the record. Next, we  
21 will go around the room, and each individual who  
22 voted will state their name and what they voted



1 into the record. You can also state the reason why  
2 you voted as you did, if you wish to.

3 Please now press the button on your  
4 microphone that corresponds to your vote. You have  
5 approximately 20 seconds to vote. Press the button  
6 firmly. After you have made your selection, again,  
7 the light will continue to flash, and if you need  
8 to change your vote, please press the corresponding  
9 button before the vote is closed.

10 (Vote taken.)

11 DR. TESH: The voting result for the record  
12 is 14 yes, 1 no, 0 abstentions, 0 non-voting.

13 DR. RINI: We'll now go around the room and  
14 ask people to state what they voted and add why  
15 they voted that way. We'll start with Dr. Gordon,  
16 who is a non-voting member, but just wanted to ask  
17 if there's anything you wanted to add in terms of a  
18 discussion around the vote.

19 DR. GORDON: I would just comment that I  
20 think the issues around the immunogenicity are a  
21 legitimate question, and it's unfortunate that  
22 there couldn't be more integration, if you will, or

1 understanding of the data from Europe.

2 DR. RINI: Thank you. Dr. Mager?

3 DR. MAGER: Don Mager. I voted yes to the  
4 question. I think the totality of the evidence  
5 supports the conclusion that the biological product  
6 is biosimilar to the reference product.

7 There were minor differences, I think, in  
8 the analytical assessment such as the glycosylation  
9 pattern as well as differences in preclinical  
10 studies in terms of exposure and response. So this  
11 does raise some residual uncertainties, but the  
12 clinical studies -- those minor differences were  
13 shown not to be clinically meaningful in the  
14 clinical studies.

15 It supports similar safety and efficacy, and  
16 then also, based on determination of a biosimilar  
17 product and a clear understanding of the mechanism  
18 of action of epo, I think there's a very strong  
19 scientific basis for extrapolation to all the  
20 approved indications of the reference product.

21 DR. RINI: Thank you. Dr. Estrella?

22 DR. ESTRELLA: I voted yes as well, and I

1 have no additional explanations to the  
2 comprehensive one that Dr. Mager mentioned.

3 DR. RINI: Thank you. Dr. Cramer?

4 DR. CRAMER: I voted yes, and Dr. Mager  
5 exactly stated what I was going to state.

6 DR. RINI: Thank you. Dr. Karara?

7 DR. KARARA: Yes, I voted yes because the PK  
8 and PD similarity has been established in the two  
9 well-designed PK and PD studies that support the  
10 demonstration of no clinically meaningful  
11 differences between PK and PD between the two  
12 products.

13 DR. RINI: Thank you. Dr. Lewis?

14 DR. LEWIS: I voted yes because I think it  
15 met the regulatory guidelines that the FDA set out.  
16 I have to say that I have residual deep concerns  
17 about the fact that this drug itself, the original  
18 epo, is associated with increased cardiovascular  
19 risk in CKD patients, red blood cell aplasia, and a  
20 drug, which I unfortunately sat on the panel and  
21 approved, peginesatide, resulted in many deaths  
22 from hypersensitivity.

1 I think that the innovator drug or the  
2 original drug in some of the subsequent things are  
3 truly problematic. The way this will get rolled  
4 out, if it's rolled out in dialysis patients, will  
5 be massively all at once in these large dialysis  
6 organizations.

7 So I'm hoping that the changes in  
8 glycosylation and sialylation, that I realize are  
9 quantitative and to some extent actually chemical,  
10 are not going to lead to immunogenicity. But it  
11 did meet the regs.

12 DR. RINI: Thank you. Dr. Waldman?

13 DR. WALDMAN: I voted yes because I thought  
14 there was no substantial differences analytically,  
15 biologically, or clinically in what was tested. I  
16 think the residual uncertainty of immunogenicity  
17 and hypersensitivity, and the extrapolation across  
18 different patient populations will emerge in  
19 postmarketing surveillance. I think that's when  
20 we'll get the clearest picture of whether there  
21 really is any uncertainty in how these drugs  
22 perform.

1 DR. RINI: Thank you. Dr. Arscott?

2 DR. ARSCOTT: I voted yes. I came in with  
3 concerns about the patient populations for the HIV  
4 and the oncology patients. However, I do believe  
5 that after sitting here today and hearing the  
6 justification, it meets the regulation, so I voted  
7 yes. I would like to see extensive follow-up in  
8 these two population groups, though. Thank you.

9 DR. RINI: Thank you. Ms. Preusse?

10 MS. PREUSSE: Courtney Preusse, consumer  
11 representative. I voted yes but with some  
12 hesitation. Although I see the cost effectiveness  
13 benefit among the patient population in providing a  
14 biosimilar to the market, I'm still concerned,  
15 still uneasy with the fact that the patient  
16 population in which this drug was tested is very  
17 small in the U.S. And I was really hoping to hear  
18 from the audience patient experiences with this  
19 particular application of this drug.

20 I know that from personal experience that  
21 these agents are not easy to metabolize, that there  
22 are side effects, significant side effects. And

1       although similar to the existing drug on the  
2       market, it would have been nice to hear from other  
3       patients. So yes but with hesitation.

4               DR. RINI: Thank you. Dr. Uldrick?

5               DR. ULDRICK: I voted no. The analytical,  
6       preclinical, and clinical data support  
7       biosimilarity, and I strongly support approval for  
8       indications 1 and 4 based on the clinical data. As  
9       previously stated, I have residual concerns about  
10      lack of data of immunogenicity and basic safety  
11      data in patients with HIV and cancer, and for that  
12      reason, voted no for broader indication.

13              DR. RINI: Thank you. Dr. Cole?

14              DR. COLE: Bernard Cole, I voted yes largely  
15      for the reasons that have already been stated. I  
16      share Dr. Uldrick's concern a bit and hope that  
17      additional safety can be checked with patients,  
18      especially cancer patients and HIV patients.

19              DR. RINI: Thank you.

20              I'll go last so I can summarize.

21      Dr. Nowakowski?

22              DR. NOWAKOWSKI: I voted yes. I believe

1 that the analytical studies and preclinical and  
2 clinical data supported biosimilarity data  
3 assessment.

4 DR. RINI: Thank you. Dr. Riely?

5 DR. RIELY: I voted yes. I found the data  
6 compelling. I understand the concerns around  
7 immunogenicity for HIV and cancer patients. I was  
8 somewhat reassured by the nonclinical data showing  
9 an absence of increased immunogenicity for this  
10 biosimilar.

11 DR. RINI: Thank you. Dr. Klepin?

12 DR. KLEPIN: I voted yes for the reasons  
13 that were already mentioned. The main point of  
14 discussion I thought, as others, was the  
15 extrapolation to the populations that weren't  
16 studied. I think the scientific rationale for that  
17 is reasonable. And in thinking about how we would  
18 answer some of the questions, as Dr. Waldman  
19 stated, really you're going to need large sample  
20 sizes in postmarketing surveillance. So I can't  
21 see a way to get around that, and I don't see that  
22 that necessarily should otherwise hold up the data

1 that we've seen.

2 DR. RINI: Thank you. Dr. Hancock?

3 DR. HANCOCK: I voted yes based on the  
4 analytical similarity, the clinical data, and  
5 mechanism of action. It meets biosimilarity.  
6 Obviously, patient populations will change on  
7 marketing, and it will need to be followed up on,  
8 but I voted yes.

9 **Adjournment**

10 DR. RINI: Thank you. Brian Rini, I also  
11 voted yes. If I could just maybe summarize what  
12 the panel has said, I think from an analytical  
13 perspective, it didn't seem like there were any  
14 major issues. Some minor issues that the experts  
15 were comfortable weren't significant.

16 I think probably the biggest concerns were  
17 around some of the indications, which are either no  
18 longer relevant or for which there were not  
19 adequate data, i.e., the HIV and oncology  
20 populations. And I think the lack of data is  
21 mostly related to a safety issue, i.e.,  
22 immunogenicity.



1           I thought Dr. Lewis made a great point that  
2   if it's approved and rolled out, it gets rolled out  
3   massively, kind of all at once, which is maybe  
4   different than some other drugs that we usually  
5   deal with on this committee. So the need for  
6   vigilance, I think, is exceedingly important, not  
7   only for this drug but for all the drugs in this  
8   circumstance.

9           I also heard from the public comments a lot  
10   about a distinct naming system. That's important  
11   to avoid errors, especially in patients with  
12   allergies as noted, and then also a big concern  
13   about switching, nonmedical switching I think  
14   somebody termed it, where it's a formulary issue;  
15   and patients are switched from the reference  
16   product to a biosimilar when that may not be  
17   appropriate for that individual patient.

18           But overall, I think it met the regulatory  
19   requirements, as you've heard, and that's why I  
20   voted yes.

21           If there's no further FDA or other comments,  
22   we'll now adjourn the meeting. Panel members,

1 leave your badge here so they can be recycled and  
2 take all your belongings with you. Thank you-all  
3 for your participation.

4 (Whereupon, at 11:39 a.m., the meeting was  
5 adjourned.)

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22