

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ONCOLOGIC DRUGS ADVISORY COMMITTEE (ODAC)

Tuesday, July 11, 2017

12:29 p.m. to 3:27 p.m.

FDA White Oak Campus
White Oak Conference Center
The Great Room
Silver Spring, Maryland

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Jennifer Shepherd, RPh**

4 Division of Advisory Committee and

5 Consultant Management

6 Office of Executive Programs, CDER, FDA

7

8 **ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)**

9 **Grzegorz S. Nowakowski, MD**

10 Associate Professor of Medicine and Oncology

11 Mayo Clinic Rochester

12 Rochester, Minnesota

13

14 **Bruce J. Roth, MD**

15 *(Chairperson)*

16 Professor of Medicine

17 Division of Oncology

18 Washington University School of Medicine

19 St. Louis, Missouri

20

21

22

1 **ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS**

2 **(Non-Voting)**

3 **Phuong Khanh (P.K.) Morrow, MD, FACP**

4 Executive Medical Director, Amgen Oncology

5 Therapeutic Area Head, US Medical Organization

6 Thousand Oaks, California

7
8 **TEMPORARY MEMBERS (Voting)**

9 **Andy Chen, MD PhD**

10 Assistant Professor

11 Knight Cancer Institute

12 Oregon Health & Sciences University

13 Portland, Oregon

14
15 **Bernard F. Cole, PhD**

16 Professor

17 Department of Mathematics and Statistics

18 University of Vermont

19 Burlington, Vermont

20

21

22

1 **David Harrington, PhD**

2 Professor of Biostatistics

3 Harvard T.H. Chan School of Public Health and

4 Dana-Farber Cancer Institute

5 Boston, Massachusetts

6

7 **Anthony D. Sung, MD**

8 Assistant Professor of Medicine

9 Division of Hematologic Malignancies and

10 Cellular Therapy

11 Duke University

12 Durham, North Carolina

13

14 **Wayne Taylor, MD**

15 *(Patient Representative)*

16 Hudson, Florida

17

18

19

20

21

22

1 **FDA PARTICIPANTS (Non-Voting)**

2 **Richard Pazdur, MD**

3 Director, Oncology Center of Excellence, FDA

4 Acting Director, Office of Hematology &

5 Oncology Products (OHOP)

6 Office of New Drugs (OND), CDER, FDA

7

8 **Ann T. Farrell, MD**

9 Director

10 Division of Hematology Products (DHP)

11 OHOP, OND, CDER, FDA

12

13 **Donna Przepiorka, MD, PhD**

14 Cross-Discipline Team Leader

15 DHP, OHOP, OND, CDER, FDA

16

17 **Emily Jen, MD, PhD**

18 Clinical Reviewer

19 DHP, OHOP, OND, CDER, FDA

20

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Jee Eun Lee, PhD

Pharmacometrics Reviewer

Division of Pharmacometrics

Office of Clinical Pharmacology

Office of Translational Sciences (OTS)

CDER, FDA

Chia-Wen Ko, PhD

Statistical Reviewer

Division of Biometrics V (DBV)

Office of Biostatistics (OB)

OTS, CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Bruce Roth, MD	9
5	Conflict of Interest Statement	
6	Jennifer Shepherd, RPh	12
7	FDA Introductory Remarks	
8	Donna Przepiorka, MD PhD	16
9	Applicant Presentations - Wyeth	
10	Introduction	
11	Mace Rothenberg	22
12	AML Treatment Landscape	
13	Richard Stone, MD	28
14	Mylotarg Patients with Previously	
15	Untreated De Novo AML	
16	Iain Webb, MD	35
17	Mylotarg Safety Considerations	
18	Debbie Chirnomas, MD, MPH	43
19	Mylotarg Benefit/Risk: Clinical Perspective	
20	Jorge Cortes, MD	52
21		
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	FDA Presentations	
4	BLA 761060 MYLOTARG	
5	Emily Jen, MD, PhD	64
6	Rationale for the Fractionated	
7	Gemtuzumab Ozogamicin (GO)	
8	Dosing Regimen	
9	Jee Eun Lee, PhD	66
10	Emily Jen, MD, PhD	69
11	Efficacy Evaluation in the	
12	First Line AML	
13	Chia-Wen Ko, PhD	72
14	Safety Analysis	
15	Emily Jen, MD, PhD	82
16	Clarifying Questions	91
17	Open Public Hearing	129
18	Questions to the Committee and Discussion	141
19	Adjournment	149
20		
21		
22		

P R O C E E D I N G S

(12:29 p.m.)

Call to Order

Introduction of Committee

DR. ROTH: Good morning. I would first like to remind everyone to please silence your cell phones, smartphones, any other devices you have, if you have not already done so. I would also like to identify the FDA press contact, Angela Stark. Angela is back here.

My name is Bruce Roth. I am the chairperson of the Oncology Drug Advisory Committee, and I will be chairing this meeting. I will now the meeting of the Oncology Drug Advisory Committee to order. We will start by going around the table and introducing ourselves. Let's start down here with P.K.

DR. MORROW: P.K. Morrow, a medical oncologist employed by Amgen.

DR. TAYLOR: Wayne Taylor, patient representative.

DR. SUNG: Anthony Sung, assistant professor

1 of medicine at Duke University.

2 DR. CHEN: Andy Chen. I'm from Oregon
3 Health Science University.

4 DR. HARRINGTON: Dave Harrington,
5 statistician, Dana Farber Cancer Institute.

6 DR. COLE: Bernard Cole, biostatistics,
7 University of Vermont.

8 DR. ROTH: I'm Bruce Roth. I am a medical
9 oncologist from Washington University in St. Louis.

10 LCDR SHEPHERD: Jennifer Shepherd. I am the
11 designated federal officer for the ODAC today.

12 DR. NOWAKOWSKI: Greg Nowakowski,
13 hematologist at Mayo Clinic Rochester.

14 DR. KO: Chia-Wen Ko, statistical reviewer
15 at FDA.

16 DR. LEE: Jee Eun Lee, pharmacometrics
17 reviewer at FDA.

18 DR. JEN: Emily Jen, clinical reviewer, FDA.

19 DR. PRZEPIORKA: Donna Przepiorka,
20 cross-discipline team leader, FDA.

21 DR. FARRELL: Ann Farrell, division
22 director, Division of Hematology Products, FDA.

1 DR. PAZDUR: Richard Pazdur, director of
2 Oncology Center of Excellence.

3 DR. ROTH: Thank you.

4 For topics such as those discussed at
5 today's meeting, there are often a variety of
6 opinions, some of which are quite strongly held.
7 Our goal is that today's meeting will be a fair and
8 open forum for discussion of these issues and those
9 individuals can express their views without
10 interruption. Thus as a gentle reminder,
11 individuals will be allowed to speak into the
12 record only if recognized by the chairperson. We
13 look forward to a productive meeting.

14 In the spirit of the Federal Advisory
15 Committee Act and the Government in the Sunshine
16 Act, we ask that the advisory committee members
17 take care that their conversations about the topic
18 at hand take place in the open forum of the
19 meeting. We are aware that members of the media
20 are anxious to speak with the FDA about these
21 proceedings. However, the FDA will refrain from
22 discussing the details of this meeting with the

1 media until its conclusion.

2 Also, the committee is reminded to please
3 refrain from discussing the meeting topic during
4 breaks. Thank you.

5 Now I will pass it to Lieutenant Commander
6 Jennifer Shepherd, our DFO for the meeting, who
7 will read the Conflict of Interest Statement.

8 **Conflict of Interest Statement**

9 LCDR SHEPHERD: Good afternoon. The Food
10 and Drug Administration is convening today's
11 meeting of the Oncologic Drugs Advisory Committee
12 under the authority of the Federal Advisory
13 Committee Act of 1972. With the exception of the
14 industry representative, all members and temporary
15 voting members of the committee are special
16 government employees or regular federal employees
17 from other agencies and are subject to federal
18 conflict of interest laws and regulations.

19 The following information on the status of
20 this committee's compliance with federal ethics and
21 conflict of interest laws, covered by but not
22 limited to those found at 18 U.S.C. Section 208, is

1 being provided to participants in today's meeting
2 and to the public. FDA has determined that members
3 and temporary voting members of this committee are
4 in compliance with federal ethics and conflict of
5 interest laws.

6 Under 18 U.S.C. Section 208, Congress has
7 authorized FDA to grant waivers to special
8 government employees and regular federal employees
9 who have potential financial conflicts when it is
10 determined that the agency's need for a special
11 government employee's services outweighs his or her
12 potential financial conflict of interest or when
13 the interest of a regular federal employee is not
14 so substantial as to be deemed likely to affect the
15 integrity of the services, which the government may
16 expect from the employee.

17 Related to the discussions of today's
18 meeting, members and temporary voting members of
19 this committee have been screened for potential
20 financial conflicts of interest of their own as
21 well as those imputed to them, including those of
22 their spouses or minor children, and for purposes

1 of 18 U.S.C. Section 208, their employers. These
2 interests may include investments; consulting;
3 expert witness testimony; contracts; grants;
4 CRADAs; teaching, speaking, writing; patents and
5 royalties; and primary employment.

6 Today's agenda involves discussion of
7 biologics license application 761060, Mylotarg,
8 gemtuzumab ozogamicin, for intravenous use
9 submitted by Wyeth Pharmaceuticals, Incorporated, a
10 subsidiary of Pfizer, Incorporated. The proposed
11 indication for this product is in combination
12 therapy with daunorubicin and cytarabine for the
13 treatment of adult patients with previously
14 untreated de novo acute myeloid leukemia.

15 This is a particular matters meeting during
16 which specific matters related to Wyeth
17 Pharmaceuticals BLA will be discussed. Based on
18 the agenda for today's meeting and all financial
19 interests reported by the committee members and
20 temporary voting members, no conflict of interest
21 waivers have been issued in connection with this
22 meeting. To ensure transparency, we encourage all

1 standing committee members and temporary voting
2 members to disclose any public statements that they
3 have made concerning the product at issue.

4 With respect to FDA's invited industry
5 representative, we would like to disclose that Dr.
6 P.K. Morrow is participating in this meeting as a
7 nonvoting industry representative acting on behalf
8 of regulated industry. Dr. Morrow's role at this
9 meeting is to represent industry in general and not
10 any particular company. Dr. Morrow is employed by
11 Amgen.

12 We would like to remind members and
13 temporary voting members that if the discussions
14 involve any other products or firms not already on
15 the agenda for which an FDA participant has a
16 personal or imputed financial interest, the
17 participants need to exclude themselves from such
18 involvement, and their exclusion will be noted for
19 the record. FDA encourages all other participants
20 to advise the committee of any financial
21 relationships that they may have with the firm at
22 issue. Thank you.

1 DR. ROTH: Thank you.

2 We'll now proceed with the FDA's opening
3 remarks, and Dr. Przepiorka.

4 **FDA Introductory Remarks - Donna Przepiorka**

5 DR. PRZEPIORKA: Thank you, Dr. Roth.

6 Good afternoon. The topic for discussion,
7 as Lieutenant Commander Shepherd indicated, is
8 BLA 761060 for gemtuzumab ozogamicin or GO. This
9 application was submitted for the proposed
10 indication of combination therapy with daunorubicin
11 and cytarabine for treatment of adult patients with
12 previously untreated de novo CD33-positive acute
13 myeloid leukemia or AML.

14 For those of you unfamiliar with the
15 treatment of AML, the standard of care for
16 induction of remission is 7 days of cytarabine plus
17 3 days of an anthracycline or the so-called 7+3
18 regimen. When daunorubicin is used as the
19 anthracycline, we may also refer to this regimen as
20 DA.

21 GO was granted accelerated approval in 2000
22 as a single agent for treatment of older adults

1 with relapsed AML. SWOG study S0106, a randomized
2 trial of DA plus or minus GO for treatment of newly
3 diagnosed AML, was identified as the trial to
4 confirm clinical benefit. In 2009, the SWOG study
5 was terminated early due to increased induction
6 mortality and lack of improvement in the CR rate,
7 DFS, or overall survival. GO was subsequently
8 withdrawn from the U.S. market.

9 While GO was in use and in study S0106, the
10 major safety concerns that were identified included
11 liver toxicity and veno-occlusive disease,
12 including fatal events. In an effort to reduce the
13 drug-related toxicities, lower doses of GO have
14 been investigated.

15 Wyeth, the sponsor, has now submitted a new
16 marketing application based on the results of study
17 ALFA-0701, which used a lower dose of GO in
18 combination with DA for treatment of patients with
19 newly diagnosed AML. During the course of the
20 presentations and discussions today, you will hear
21 about several different GO doses and schedules.
22 This table provides a reference for those regimens.

1 The original approval for GO monotherapy for
2 relapsed AML was for a dose of 9 milligrams per
3 meter squared. Doses of 6 milligrams per meter
4 squared and a fractionated schedule using 3
5 milligrams per meter squared have also been studied
6 as monotherapy. SWOG study S0106 used 6 milligrams
7 per meter squared in combination with DA, and the
8 new trial ALFA-0701 included the fractionated
9 schedule using 3 milligrams per meter squared in
10 combination with DA.

11 The key safety outcomes of early mortality
12 and VOD for the two randomized trials of interest
13 are shown in this table. For the comparison of the
14 GO arm to the no GO arm, the odds ratio for early
15 mortality was 3.58 in the SWOG study using 6
16 milligrams per meter squared and 1.99 for the
17 ALFA-0701 study using the fractionated schedule.
18 The odds ratio for VOD during the entire safety
19 period of follow-up was 7.62 for the SWOG study and
20 2.42 for ALFA-0701.

21 Relative to the control arm, the
22 fractionated schedule of GO with a lower dose

1 appeared to have a lesser disparity in early
2 mortality and VOD than in the SWOG trial. Both
3 Wyeth and the FDA reviewer will provide additional
4 analyses of safety by dose as well as the actual
5 safety profile of the fractionated schedule of GO
6 in ALFA-0701, leading us to the first issue, which
7 is do the data for the GO fractionated schedule in
8 combination with DA show an acceptable safety
9 profile and address the previous safety concerns
10 about the use of GO in combination with DA?

11 The second issue regards efficacy. The
12 primary endpoint of ALFA-0701 was event-free
13 survival or EFS. The hazard ratio for the primary
14 endpoint was 0.56, favoring the GO arm with a very
15 significant p-value. This was clearly a positive
16 study with regard to the primary endpoint, however,
17 FDA usually uses survival to assess clinical
18 benefit for patients with AML being treated with
19 curative intent.

20 The sponsor therefore conducted a meta-
21 analysis to determine whether EFS is a surrogate
22 for OS in AML. The issue of surrogate endpoints

1 has been discussed many times at ODAC meetings,
2 largely however, for using progression-free
3 survival or PFS. For the purposes of today's
4 discussion, it is important to note that PFS as
5 used for solid tumors, lymphoma, or myeloma is very
6 different from EFS used as an endpoint for acute
7 leukemia.

8 Both endpoints have components of relapse
9 and all-cause mortality distributed over the entire
10 treatment and follow-up periods, but only EFS has
11 the additional component of induction failure,
12 which is assessed early in the study, only during
13 induction.

14 The FDA statistician will review the results
15 of a more in-depth analysis of surrogacy, but this
16 figure illustrates the bottom line. In the
17 best-case scenario, if EFS were a surrogate for OS,
18 in the scatter plot showing each patient's event-
19 free survival and overall survival the points would
20 all line up on the diagonal. But here in
21 ALFA-0701, there is a substantial proportion of
22 patients whose overall survival is well out of

1 proportion to the event-free survival such as those
2 within the green oval and those scattered above the
3 diagonal, presumably due to successful salvage
4 therapy, including allogeneic stem cell
5 transplantation.

6 In an era now where multiple active agents
7 are available as salvage therapies that extend
8 survival in patients who fail primary treatment, it
9 might not be mathematically possible to demonstrate
10 that EFS is a surrogate of OS at the patient level
11 or at the trial level using the current definition.
12 Nonetheless, since having active leukemia has a
13 major and immediate impact on a patient's life,
14 clearly achieving and maintaining a complete
15 remission as measured by EFS would seem a benefit.
16 Both the sponsor and the FDA reviewer will provide
17 more perspective on EFS as a benefit for your
18 consideration.

19 So acknowledging that EFS as currently
20 defined does not have a strong correlation with OS,
21 the second issue for your consideration is whether
22 EFS can be deemed a benefit in itself for patients

1 with newly diagnosed AML treated with curative
2 intent.

3 Lastly, once you have evaluated the safety
4 data and considered whether EFS is an appropriate
5 measure of benefit, the voting question will be, do
6 the results of ALFA-0701 demonstrate a favorable
7 risk-benefit for GO 3 milligrams per meter squared
8 days 1, 4, and 7 added to DA for patients with
9 newly diagnosed CD33-positive AML treated with
10 curative intent. Thank you.

11 DR. ROTH: Thank you, Donna.

12 We will now proceed with the applicant's
13 presentation. Dr. Rothenberg.

14 **Applicant Presentation - Mace Rothenberg**

15 DR. ROTHENBERG: Thank you, Dr. Przepiorka,
16 for framing these topics so clearly. We believe
17 that the data contained in the BLA dossier and to
18 be presented today will establish the favorable
19 benefit-risk relationship for Mylotarg in a
20 syndication and the clinical relevance of event-
21 free survival.

22 On behalf of Pfizer oncology, I would like

1 to thank you, Dr. Roth, Dr. Pazdur, Dr. Farrell,
2 ODAC members, FDA staff, ladies and gentlemen, for
3 the opportunity to be here today to discuss
4 Mylotarg. My name is Mace Rothenberg. I am the
5 chief development officer for Pfizer oncology.

6 Mylotarg is an antibody drug conjugate
7 composed of a CD33-directed monoclonal antibody
8 that is covalently linked to the cytotoxic agent N-
9 acetyl gamma calicheamicin. Once bound to CD33, an
10 antigen that is expressed on AML blasts in
11 90 percent of patients, the antibody drug conjugate
12 is internalized, the linker hydrolyzed, and
13 calicheamicin is released to bind to DNA and create
14 double-strand breaks that result in cell death.

15 Mylotarg originally received accelerated
16 approval from the FDA in 2000 for use as a single
17 agent in the treatment of patients with relapsed
18 AML. In 2010, SWOG S0106, a confirmatory trial
19 intended to serve as the basis for conversion from
20 accelerated to full approval, was not able to
21 demonstrate that the addition of Mylotarg to
22 first-line chemotherapy improved efficacy.

1 In that study, it was also noted there was a
2 higher rate of fatal induction toxicities in the
3 Mylotarg-containing arm. Due to the results of
4 this trial, recognition of an increased risk of
5 veno-occlusive disease associated with Mylotarg in
6 the postmarketing setting, and following
7 consultation with the FDA, Pfizer voluntarily
8 withdrew Mylotarg from the U.S. market in 2010.

9 What has changed over the past seven years
10 to warrant this new application, and why are we
11 doing this now? Despite its withdrawal from the
12 market in the United States, there remained great
13 interest among AML investigators to evaluate
14 Mylotarg in the first-line setting using different
15 doses and different schedules of Mylotarg.

16 Some investigators felt that the SWOG trial
17 contained certain design elements that did not
18 enable the full potential of Mylotarg to be
19 realized, but there is a second reason as well.

20 In the years following its withdrawal from
21 the U.S. market, the demand for compassionate-use
22 Mylotarg not only continued but grew. In light of

1 the emergence of encouraging data from multiple
2 phase 3 clinical trials and steadily rising demand,
3 the FDA reached out to Pfizer to inquire about our
4 plans to bring Mylotarg back to the U.S. market.

5 Following a series of eight meetings and
6 interactions with the FDA from 2012 to '16 and
7 publication of Professor Robert Hills' meta-
8 analysis showing that Mylotarg could improve
9 survival in first line AML, key components for a
10 new BLA were identified and agreed upon with the
11 FDA, and that has led to this unique submission.

12 Rather than being based largely on
13 company-sponsored trials, this Mylotarg BLA is
14 comprised of data from the pivotal ALFA-0701 trial,
15 an individual patient data meta-analysis which
16 collected information on more than 3300 patients
17 enrolled in five cooperative group studies,
18 including the ALFA and SWOG trials; and supportive
19 Pfizer-sponsored trials. This has resulted in a
20 BLA with data for more than 4,300 patients.

21 To return to my original question of why we
22 are here, we are here because we believe that there

1 is now a substantial body of evidence to support
2 the claim that Mylotarg can confer meaningful
3 benefit to a broad range of AML patients. Both the
4 IPD meta-analysis and ALFA study are informative in
5 estimating the beneficial effect of Mylotarg in
6 terms of event-free and overall survival.

7 We are here because clinical data
8 supplemented by PK and PD modeling have helped
9 identify a lower dose fractionated regimen as an
10 efficacious and potentially safer one than the
11 single high-dose regimen used in the SWOG study.
12 And we're here because we believe that the risks
13 associated with Mylotarg have been well
14 characterized and that through the use of risk
15 mitigation strategies, there is a favorable
16 benefit-risk profile for use of Mylotarg in these
17 seriously ill patients.

18 Based upon these data, Pfizer is seeking
19 approval for Mylotarg in combination with
20 daunorubicin and cytarabine for the record
21 treatment of patients with previously untreated de
22 novo CD33-positive acute myeloid leukemia. As

1 agreed with the FDA, this indication will be the
2 focus of today's presentation, but we are also
3 seeking reinstatement of approval for Mylotarg in
4 the relapsed AML setting as well.

5 In addition to my colleagues, Iain Webb and
6 Debbie Chirnomas, who will be presenting data on
7 the efficacy and safety of Mylotarg; Dr. Richard
8 Stone, director of the Adult Acute Leukemia
9 Institute at Dana Farber, will provide an overview
10 of AML and its therapeutic landscape.

11 Our presentation will conclude with Dr.
12 Jorge Cortes, chair of the AML section in the
13 department of leukemia at MD Anderson, who will
14 provide his perspective on Mylotarg as someone who
15 sees and treats these patients every day.

16 We are also joined today by three external
17 consultants, Professor Herve Dombret, chair of the
18 ALFA Cooperative Group; Dr. James Freston, a
19 medical consultant with expertise in veno-occlusive
20 disease; and Dr. Gary Koch for statistics.

21 I would now like to introduce Dr. Richard
22 Stone to discuss AML and the therapeutic landscape.

1 **Applicant Presentation - Richard Stone**

2 DR. STONE: Thank you, Dr. Rothenberg.

3 Good afternoon. My name is Richard Stone.

4 I am chief of staff and director of the adult
5 leukemia program at Dana Farber Cancer Institute in
6 Boston. I am a paid consultant to Pfizer, but I
7 have no financial interest in the outcome of this
8 meeting. I am pleased to provide you with an
9 overview of acute myeloid leukemia, AML, and the
10 therapeutic landscape for this difficult disease.

11 AML represents a clinically and biologically
12 heterogeneous group of malignancies characterized
13 by the accumulation of abnormal myeloblasts which
14 have limited ability to differentiate. Without
15 successful treatment, bone marrow failure, which
16 causes neutropenia and thrombocytopenia with
17 associated infection and bleeding, will lead to
18 death.

19 During 2017, we expect that over 21,000
20 Americans will be diagnosed with AML during which
21 time 10,000 people will die of this disease,
22 indicating the severity of this illness. The mean

1 age of diagnosis is 68 years, so geriatric
2 considerations are very important. Unfortunately,
3 AML treatment has not changed very much in the last
4 four decades and current treatments require
5 prolonged hospitalization due to severe
6 myelosuppression.

7 As depicted here, there have been minimal
8 improvements in outcomes, especially in older
9 patients in the last 40 years. In younger adults,
10 the better outcomes we have seen have been
11 attributed to improved supportive care, which has
12 made chemotherapy and stem cell transplantation
13 more tolerable.

14 The initial goal of AML therapy is to
15 achieve remission. Complete remission is defined
16 as a state with there are less of 5 percent blasts
17 in normocellular marrow at a time when there was
18 recovery of platelets and neutrophils to near
19 normal levels. Complete remission with incomplete
20 platelet recovery and/or incomplete neutrophil
21 recovery, termed CRp or CRi, is useful because it
22 may also allow post-remission therapy in the form

1 of more chemotherapy and/or stem cell transplant,
2 which is required for cure.

3 As you've heard, cytarabine-based regimens,
4 especially including 3+7 or 7+3, consisting of
5 anthracycline on days 1 through 3, usually
6 daunorubicin, combined with cytarabine on days 1
7 through 7, are generally employed as a means to
8 take a patient from being sick to achieving a
9 morphologically undetectable leukemia state.
10 However, the inevitable residual tumor burden
11 present at the time of complete remission still
12 needs to be eliminated.

13 In older adults, we sometimes choose less
14 intensive therapy, particularly if the patient has
15 poor performance status or many comorbidities.
16 Although most patients achieve remission, the
17 complete remission rates vary in age even in
18 patients deemed fit enough to tolerate induction
19 therapy with 3+7.

20 The current approach to the treatment of fit
21 patients with AML is the use of one or two cycles
22 of 3+7 chemotherapy, which requires hospitalization

1 for at least 4 to 5 weeks during which time
2 patients are at risk for bleeding and infection.
3 Those patients who don't achieve remission will die
4 within one year, most of them. Salvage
5 chemotherapy to achieve a response sufficient to
6 move to allogeneic transplant is difficult to
7 achieve goal in such patients.

8 While a minority of patients in initial
9 complete remission are highly chemo responsive and
10 can be cured with intensive post-remission therapy,
11 most require allogeneic stem cell transplant, which
12 is quite toxic and associated with significant
13 treatment-related mortality, sometimes due to veno-
14 occlusive disease. As previously noted, remission
15 rates vary by age with a lower rate in older
16 adults.

17 Those who achieve remission may
18 unfortunately sometimes die in remission due to
19 treatment-related toxicity, as shown in red on this
20 slide. As depicted in black, many remission
21 patients, especially older individuals, relapse.
22 Unfortunately as well, successful salvage therapy

1 after relapse, generally chemotherapy by allogeneic
2 transplant, is not as common as we'd like it to be.

3 Beyond remission, how do we assess
4 therapeutic outcomes? Clinically relevant events
5 in AML include death, failure to achieve remission,
6 or relapse after remission. Lack of achieving
7 remission or relapsing after remission are
8 generally associated with bone marrow failure and
9 increased risk for bleeding or infection.

10 Let's again review some of the response
11 definitions in the post-remission setting. Event-
12 free survival is defined from the date of
13 randomization or diagnosis to the date of induction
14 failure, relapse, or death, whichever occurs first.
15 Disease-free or relapse-free survival is the time
16 from initial response to relapse or death from any
17 cause, and of course, overall survival is the time
18 from randomization or diagnosis to death from any
19 cause.

20 As a leukemia doctor, I feel that event-free
21 survival is intrinsically a valuable endpoint in
22 AML. First, a long duration of event-free survival

1 increases the likelihood of achieving a second
2 remission if the patient relapses and thus the
3 chance for a cure in advanced disease.

4 Event-free survival, as you've seen, has a
5 moderate positive correlation with overall
6 survival, but not an absolute correlation, as was
7 pointed out, because of being confounded by the
8 occasionally successful salvage therapy.

9 Nonetheless, a longer event-free survival means a
10 longer time for the patient to delay or avoid the
11 burdens and toxicities associated with additional
12 chemotherapy, hospitalizations, and transfusions.
13 Moreover, a longer event-free survival will delay
14 the emotional distress that patients, their
15 families, and caregivers experience on hearing the
16 news that the disease has failed to respond to
17 chemotherapy or has returned after prior therapy.

18 Patients with AML need better therapies.
19 Since 3+7 was developed 40 years ago, there have
20 been no new therapies, not including of treatment
21 of acute promyelocytic leukemia. Mylotarg was
22 approved in 2000, but as you've heard was withdrawn

1 in 2010. However, 2017 is the year of hope in AML.
2 Midostaurin has been approved, and two other drugs
3 in addition to Mylotarg may be approved later in
4 the year. However, midostaurin only applies to
5 about 40 percent of the patients with AML whose
6 blasts have a FLT3 mutation; CPX-351, or Vyxeos, to
7 the 25 percent of patients who have secondary AML;
8 and enasidenib to the 12 percent with an IDH-2
9 mutation; whereas Mylotarg could be used in the
10 vast majority of AML patients because the
11 expression of the target CD33 is common.

12 In summary, AML is a serious, rapidly
13 progressive, life-threatening hematological
14 malignancy with a frontline standard of care that
15 has changed little over 40 years. More agents are
16 needed to achieve more frequent, deeper, and
17 therefore longer remissions. Longer and more
18 frequent remissions would be reflected in event-
19 free survival, which I maintain is beneficial to
20 patients.

21 Mylotarg, which is applicable to the
22 majority of patients with AML, combined with

1 standard induction therapy provides clinical
2 benefit, as Dr. Webb will show, in terms of
3 prolonging event-free survival and improving
4 overall survival. Thank you very much.

5 Dr. Webb.

6 **Applicant Presentation - Iain Webb**

7 DR. WEBB: Good afternoon. My name is Iain
8 Webb, and I am the clinical team lead for
9 hematologic malignancies at Pfizer. Over the next
10 10 minutes or so, I propose to provide an overview
11 of the key efficacy data that support our
12 application for approval of Mylotarg for the
13 treatment of patients with previously untreated de
14 novo AML.

15 This is an overview of the relevant data
16 supporting the application. Included are the
17 pivotal study ALFA-0701 as well as a meta-analysis
18 of individual patient level data from the ALFA
19 study as well as 4 additional randomized trials.

20 The trials in the meta-analysis were of
21 similar design. They included a range of Mylotarg
22 doses given in combination with intensive

1 chemotherapy. Importantly, the pivotal ALFA-0701
2 study incorporated the lower dose fractionated
3 Mylotarg regimen introduced by Dr. Rothenberg.

4 Before discussing ALFA further, I would like
5 to set the stage by looking at the SWOG S0106
6 study, which is included on this slide. This is
7 noteworthy because SWOG was the original phase 3
8 study designed to confirm the clinical benefit of
9 Mylotarg.

10 Here is how the SWOG study was designed.
11 Patients aged 18 to 60 with previously untreated de
12 novo AML were randomized 1 to 1 to receive
13 daunorubicin at the full dose of 60 milligrams per
14 meter squared with AraC or to receive a single dose
15 of Mylotarg, 6 milligrams per meter squared, in
16 addition to daunorubicin at a reduced dose of 45
17 milligrams per meter squared, now known to be
18 suboptimal, with the same dose of AraC.

19 Following consolidation therapy, patients
20 were re-randomized to receive 3 additional doses of
21 Mylotarg or observation. Primary objectives were
22 complete response after induction and disease-free

1 survival.

2 Based on the data in this slide, the SWOG
3 study was prematurely closed to enrollment by the
4 data safety monitoring committee at the time of an
5 interim analysis. This decision was taken because
6 there was a lack of improvement in the primary
7 endpoints of complete response and disease-free
8 survival as well as an increase in early deaths in
9 the Mylotarg arm. Following the discontinuation of
10 SWOG, investigation in Mylotarg continued. The
11 ALFA group initiated a phase 3 study in previously
12 untreated patients, also known as MyloFrance 3, and
13 the design of the study is shown in this slide.

14 Patients with previously untreated de novo
15 AML were randomized 1 to 1 to receive standard
16 full-dose intensive induction therapy with
17 daunorubicin and AraC with or without Mylotarg. In
18 ALFA, the new lower dose fractionated regimen of
19 Mylotarg was used, consisting of 3 fractionated
20 doses of 3 milligrams per meter squared on days 1,
21 4, and 7 of induction. Patients remaining in
22 remission following induction therapy received 2

1 courses of daunorubicin and AraC consolidation with
2 or without a single dose of Mylotarg. 271 patients
3 were randomized.

4 You can see here that for the enrolled
5 patients, the baseline parameters included age,
6 CD33 expression, and cytogenetics, and they were
7 balanced between treatment arms. There was a
8 minimal balance in gender.

9 Now, let's look at the key efficacy results.
10 In ALFA, there was a highly statistically
11 significant and clinically meaningful improvement
12 in the primary endpoint of event-free survival.
13 What you're looking at are the data at the time of
14 the primary analysis.

15 As you can see from the wide separation in
16 the Kaplan-Meier curves, with the Mylotarg data in
17 blue and control arm data in orange, median EFS was
18 significantly prolonged. Median EFS increased from
19 9.5 months in the control arm to 17.3 months in the
20 Mylotarg arm with a hazard ratio of 0.562. The
21 p-value was highly significant at 0.0002.

22 Improvement was maintained at later time

1 points with the EFS rate at 24 months being 42
2 percent in the Mylotarg arm, more than twice the
3 rate in the control arm. Importantly, results of
4 an independent expert blinded review of the EFS
5 data were consistent.

6 This slide shows updated EFS data with
7 longer follow-up. It continues to show the benefit
8 of Mylotarg. With the date of analysis being 2.5
9 years after the last patient was enrolled, these
10 data are mature.

11 The robust effect of Mylotarg on EFS was
12 consistent with the overall results across most
13 subgroups, including those based on age, ECOG
14 performance status, CD33 positivity, and favorable
15 or intermediate cytogenetics. There was also a
16 difference in response rate favoring Mylotarg, but
17 there was not statistically significant. In
18 addition, fewer patients in the Mylotarg arm
19 required a second induction regimen to achieve
20 response, as indicated at the bottom of the slide.

21 Median relapse-free survival was also
22 improved and almost doubled with Mylotarg,

1 reflecting deeper and more durable responses. The
2 median RFS was 20 months in the Mylotarg arm versus
3 11.4 months in the control arm, and the hazard
4 ratio was 0.639. As we saw for EFS, RFS benefit
5 was also maintained over time.

6 Here you see that overall survival also
7 favored Mylotarg with median OS being 27.5 months
8 in the Mylotarg arm and 21.8 months in the control
9 arm. The hazard ratio is 0.807, but was not
10 statistically significant.

11 Why was the magnitude of overall survival
12 benefit smaller than the magnitude of event-free
13 survival benefit? Well, first, most patients in
14 both arms received follow-up therapies that could
15 confound overall survival. These therapies
16 included hematopoietic stem cell transplant either
17 in first remission or as salvage therapy. Second,
18 the study was not fully powered for overall
19 survival. With the number of events observed, the
20 power was 76 percent for a hazard ratio of 0.66.

21 Let's move on to the meta-analysis, which
22 provides additional data concerning the efficacy

1 and safety of Mylotarg. The five trials are
2 summarized here and included over 3,300 patients.
3 The trials share a similar design and include a
4 range of Mylotarg doses given in combination with
5 intensive chemotherapy. Criteria for inclusion
6 were prospectively determined by Dr. Robert Hills
7 at the University of Cardiff in Wales. Both
8 positive and negative trials were included.

9 It is important to note that this was not a
10 typical meta-analysis analyzing only published
11 data, but instead consisted of analyses of
12 individual patient level data compiled from the
13 different studies and analyzed in a standardized
14 way. Overall survival was the primary endpoint of
15 the meta-analysis. EFS, RFS, response rate, and
16 safety were secondary endpoints.

17 Here's the overall patient profile.
18 Importantly, all patients were previously
19 untreated, and 88 percent of patients had de novo
20 AML. 62 percent of patients have favorable or
21 intermediate cytogenetic risk. In the meta-
22 analysis, Mylotarg added to standard intensive

1 induction chemotherapy provided a significant
2 improvement of the primary efficacy endpoint of
3 overall survival as well as in the secondary
4 endpoints of event-free and relapse-free survival.
5 This is despite differences in dosing regimens and
6 inclusion of studies with variable results, in
7 particular the SWOG study and the AML-15 which
8 did not meet the primary endpoints.

9 Although the 9 percent decrease in risk of
10 death is not as large as we might have liked, this
11 does represent a step forward for patients with
12 this devastating disease.

13 To conclude, Mylotarg in lower fractionated
14 doses added to standard chemotherapy provided
15 improvement in event-free and relapse-free survival
16 in both the ALFA and the IPD meta-analysis, as well
17 as an improvement in overall survival in the ALFA
18 study that is supported by the findings of the IPD
19 meta-analysis where it was statistically
20 significant.

21 I would now like to ask my colleague
22 Dr. Chirnomas to review the safety profile of

1 Mylotarg. Thank you for your attention.

2 **Applicant Presentation - Debbie Chirnomas**

3 DR. CHIRNOMAS: Good afternoon. My name is
4 Debbie Chirnomas, and in the next few minutes, I am
5 going to share with you what we have learned about
6 the safety of Mylotarg, including the impact of the
7 lower dose fractionated regimen.

8 In order to do that, I am going to walk you
9 through three main topics. First, we will look at
10 the rationale for the lower fractionated dosing
11 regimen; second, we will review the safety profile
12 from the trials in the newly diagnosed patients;
13 and third, we will go into more detail regarding
14 special safety topics related to the use of
15 Mylotarg, including bleeding, thrombocytopenia,
16 early death, and veno-occlusive disease.

17 As you have heard earlier, over the course
18 of its development, Mylotarg was tested using a
19 variety of doses and schedules. Early trials
20 evaluated Mylotarg at 9 milligrams per meter
21 squared when used as monotherapy. In this setting,
22 veno-occlusive disease and myelosuppression arose

1 as safety concerns. The risk of VOD was notably
2 higher in patients who received hematopoietic stem
3 cell transplants. But Mylotarg has efficacy, so
4 there was a lot of interest in how to get this
5 right and strike the balance between efficacy and
6 safety.

7 Through continued research, a better
8 strategy emerged. First, dose-finding studies
9 identified 3 milligrams per meter squared as the
10 lowest near saturating dose. Second, we learned
11 that CD33 is recycled back to the cell surface in
12 approximately 72 hours. This provided the
13 rationale for the lower fractionated dosing.

14 As illustrated on the right, cells are
15 exposed to a near saturating dose of Mylotarg.
16 Then once the payload is delivered, CD33 recycles
17 back to the cell surface just in time for the next
18 dose of Mylotarg in 72 hours.

19 The last and critical piece of data that
20 further supported this strategy is the PK data
21 showing a safety benefit. As you can see on this
22 graph, when the dose is reduced from the 9

1 milligrams per meter squared dosing shown at the
2 top to the 3 milligrams per meter squared times 3,
3 given 3 times, which is shown on the bottom, the
4 peak concentration, or Cmax, which is directly
5 associated with toxicity, is decreased by
6 75 percent.

7 This translated into a direct prediction of
8 reduction in the risk of veno-occlusive disease.
9 Patients with no prior transplant are represented
10 on the left, and patients with prior transplant are
11 represented on the right. The dotted lines
12 represent the Cmax values for the two different
13 dosing regimens. The pink is the low dose,
14 3 milligrams per meter squared, and the black is
15 the high dose, the 9 milligrams per meter squared.

16 As you can see, reducing the dose decreases
17 the risk for VOD in patients undergoing
18 hematopoietic stem cell transplant by 50 percent.
19 In patients who do not undergo hematopoietic stem
20 cell transplant, there is also a reduction, but the
21 risk is very low, regardless.

22 Now, let's turn to the general safety

1 profile seen in the combination chemotherapy
2 studies presented by Dr. Webb. These are the ALFA
3 study adverse events defined and prospectively
4 collected by the sponsor. Remember that the ALFA
5 study used the lower fractionated dose of Mylotarg
6 I described earlier.

7 You can see the top three grade 3 or 4
8 adverse events are nausea, vomiting, and diarrhea;
9 mucosal toxicity; and pain. The frequency of these
10 adverse events was higher in the Mylotarg arm than
11 in the control arm. Other adverse events were
12 either of similar frequency in both arms or higher
13 in the control arm such as skin toxicity.

14 Additional adverse event data were
15 subsequently collected, although they were part of
16 the original data collection. We just went back
17 and got more details. Rate of infection, as you
18 can see on the top, was similar in both arms.
19 Hemorrhage, primarily grade 3, was increased in the
20 Mylotarg arm. VOD was in 6 patients in the
21 Mylotarg arm and in 2 patients in the control arm,
22 both of whom received Mylotarg as part of the

1 compassionate use program.

2 The individual patient data meta-analysis
3 safety profile confirmed the findings from ALFA.
4 The three adverse events I have mentioned
5 earlier -- thrombocytopenia, hemorrhage, and
6 VOD -- are shown in the boxed rows. They are all
7 higher in the Mylotarg arm. Overall, however, VOD
8 has a low incidence in this larger population of
9 1.1 percent.

10 Now let's look at these topics in more
11 detail starting with hemorrhage. As a reminder, in
12 the ALFA trial, the incidence of hemorrhage was 90
13 percent in the Mylotarg arm compared to 78 percent
14 in the chemotherapy only arm.

15 Low platelets are a common cause of bleeding
16 in AML patients receiving chemotherapy. This is
17 the platelet recovery time in the ALFA study.
18 Patients in the Mylotarg arm on the left
19 experienced a 5 to 6-day delay in platelet recovery
20 compared to the chemotherapy-only arm. This is the
21 most likely reason for the increased rates in
22 hemorrhage we just saw.

1 Importantly, however, this increase in
2 hemorrhage and thrombocytopenia did not result in
3 an increase in overall early mortality, as shown
4 here. The 30- and the 60-day mortality rates in
5 ALFA show no difference between the two arms.

6 This is in contrast to the findings from the
7 initial combination trial by SWOG with the higher
8 6-milligram per meter squared dose of Mylotarg,
9 shown on your right, where there was a significant
10 imbalance between the Mylotarg and the chemotherapy
11 only arms.

12 I would like to turn now to VOD, veno-
13 occlusive disease, starting with a brief review of
14 the clinical features. VOD is a clinical syndrome
15 comprised of weight gain, free fluid in the
16 abdomen, right upper quadrant pain, and jaundice.
17 VOD can occur as a result of many medications or
18 toxins, but the most well-known and most common is
19 hematopoietic stem cell transplant or bone marrow
20 transplant.

21 Historically, the incidence of this severe
22 liver congestion syndrome was different to assess,

1 ranging from 5 to 60 percent in the literature.
2 More recent data, however, suggests a lower
3 percentage, about 10 to 15 percent risk of
4 developing VOD following an allogeneic transplant.
5 Most patients who develop VOD will recover fully,
6 but the subset of patients who develop severe VOD
7 have a very high chance of dying, often from
8 multisystem organ failure.

9 What is Mylotarg's relationship to the
10 development of VOD? In order to understand this
11 better, a stepwise logistic regression analysis was
12 conducted on the patients receiving monotherapy.
13 In this updated analysis shown here, two main risk
14 factors were identified: moderate, severe hepatic
15 impairment, and hematopoietic stem cell transplant
16 before and after Mylotarg.

17 Here are the data then across three key
18 trials measuring the incidence of VOD with
19 Mylotarg. The two blue bars represent trials of
20 Mylotarg monotherapy at 9 milligrams per meter
21 squared in relapsed AML patients. The green bar is
22 the ALFA-0701 trial, and you can see that the

1 overall incidence of VOD has gone down.

2 Then when we look at the high-risk
3 population shown on your right, the patients who
4 have had a stem cell transplant, we see a higher
5 incidence of VOD overall as we would have expected,
6 but in the ALFA trial in green, once again, we see
7 a decrease of VOD.

8 When we then look at the rate of fatalities
9 shown in the highlighted row on the bottom of the
10 slide, we can see that in both settings, the lower
11 fractionated dosing regimen had a lower rate of
12 fatality. In particular, you can see that the
13 transplant patients had no fatalities.

14 These data strongly support the exposure-
15 response modeling I showed you earlier where the
16 lower fractionated dosing was predicted to have a
17 large decrease in the risk of VOD. But clearly, we
18 are left with a real and important risk of VOD, and
19 we are taking action on several fronts to provide
20 meaningful information and guidance in dealing with
21 this risk. These include a boxed warning with
22 clear identification of high-risk patients and

1 dosing recommendations. In addition, we have an
2 ongoing collaboration with the Center for
3 International Blood and Marrow Transplant Research,
4 who maintain a worldwide transplant registry.

5 We are working together on a prospective
6 study to capture detailed information regarding the
7 population using Mylotarg to ensure adequate
8 assessment of VOD and Mylotarg in the postmarketing
9 setting. In addition, we have been working on a
10 retrospective matched cohort analysis comparing
11 patients who have received Mylotarg and then a
12 transplant with those who have not had Mylotarg.

13 Preliminary results show that there was no
14 difference in the VOD rates between those two
15 patient cohorts. These data will be submitted for
16 publication.

17 In summary, the three takeaways are the
18 following. First, dosing is key. The lower-dose
19 fractionated regimen of 3 milligrams per meter
20 squared times 3 in combination with chemotherapy
21 improved the tolerability of Mylotarg. Second, our
22 clinical experience and exposure-response modeling

1 have allowed us to define risk factors for
2 myelosuppression and VOD and reduce this risk using
3 the lower-dose regimen. Finally, Mylotarg has
4 demonstrated a well-characterized safety profile in
5 the treatment of AML across thousands of patients
6 over many years.

7 As we heard from Dr. Stone today, patients
8 with AML are facing a tough battle with few weapons
9 at their disposal and a very high risk of relapse.
10 Mylotarg is an effective and attractive option both
11 for its tolerability and its targeted mechanism of
12 action. We are hopeful that we can offer this
13 important therapeutic to patients with AML again in
14 the near future.

15 I would now like to introduce Dr. Cortes to
16 speak about the benefit-risk profile of Mylotarg in
17 the clinic.

18 **Applicant Presentation - Jorge Cortes**

19 DR. CORTES: Good afternoon. My name is
20 Jorge Cortes. I am the deputy chair of the
21 Department of Leukemia at MD Anderson and chief of
22 the AML section in that department. I am a paid

1 consultant for Pfizer, and I have no financial
2 interest in the outcome of this meeting.

3 The next few minutes, I would like to
4 provide my clinical perspective on the benefit-risk
5 of Mylotarg in AML. To put the value of Mylotarg
6 in context, it is worth summarizing what those of
7 us who treat leukemia and, most important, our
8 patients with AML currently face.

9 AML is not a common cancer, but it is one
10 that generally has a poor prognosis. While over 50
11 to 70 percent of patients may achieve a response
12 with standard chemotherapy, most of them will
13 eventually relapse and frequently within 10 to 12
14 months, and that will lead to a very short
15 survival.

16 The outcome has improved very little over
17 time, and this is no surprise, considering the
18 treatment we use today is the same we used in the
19 1970s with no new therapies available for most
20 patients, not for lack of trying but because it is
21 so difficult to achieve even modest improvements in
22 the outcomes of AML.

1 Patients with AML die of infections from the
2 myelosuppression that is a property of AML itself
3 and a universal occurrence with standard
4 chemotherapy. Patients with active disease aiming
5 to regain remission have high transfusion
6 requirements, frequently many times a week, and
7 recurrent and prolonged hospitalizations for fever
8 and other complications.

9 Although stem cell transplant can be used,
10 it is successful generally only for patients that
11 have achieved a response to therapy, and once
12 patients relapse, we have very few treatment
13 options short of reusing the same drugs that have
14 already failed or using drugs that have not been
15 approved for the use of AML and that have
16 questionable benefit.

17 To better illustrate this, I am showing you
18 here the outcome of patients after front-line
19 therapy has failed when treated with the treatment
20 options that we have available now. The majority
21 of these patients will not achieve remission, and
22 the median overall survival is only approximately

1 six months. Therefore, for my patients with newly
2 diagnosed AML, having a treatment option that
3 provides a better probability of response and
4 delays abysmal prognosis associated with the
5 relapsed disease for as long as possible is of
6 great benefit. For a disease as difficult to
7 manage as AML, patients and those of us caring for
8 them would value greatly having such an option.

9 Let me review with you the key trials using
10 Mylotarg as part of the frontline therapy, the one
11 from SWOG and the ALFA trial that you just heard
12 about. To reiterate what Dr. Webb showed earlier,
13 key differences includes the use of what we now
14 have demonstrated through randomized studies to be
15 suboptimal dose of daunorubicin in the Mylotarg arm
16 of the SWOG trial and the use of a lower dose,
17 fractionated of Mylotarg in the ALFA study.

18 The impact of these design differences can
19 be seen in these outcomes. EFA was not reported by
20 SWOG, but there was a higher overall response rate,
21 a larger reduction in the risk of relapse, and a
22 larger reduction in the risk of death with Mylotarg

1 in the ALFA study. Notably, the use of the
2 fractionated schedule of Mylotarg allowed
3 investigators to use it with a full dose of
4 daunorubicin in the ALFA study, which probably
5 contributed to the overall benefit of the
6 combination.

7 The value of adding Mylotarg to standard
8 chemotherapy is even more impressive when we look
9 at the event-free survival and the relapse-free
10 survival curves. At two years, nearly half of the
11 patients were alive and free from relapse. This is
12 important because, as I mentioned earlier, once a
13 patient relapses, it is likely that they will die
14 within a year.

15 I would welcome the opportunity therefore to
16 offer my patients a treatment option with almost
17 50 percent probability of maintaining their first
18 remission for at least 2 years as opposed to only a
19 30 percent probability with standard chemotherapy.

20 In addition, when I look at these survival
21 curves, I see a welcome trend in favor of Mylotarg
22 that is similar in magnitude to what we have seen

1 in other recent positive trials in AML for specific
2 patient populations, and this trend is further
3 supported by the results of the meta-analysis, even
4 considering the grossly inadequate and negative
5 SWOG study.

6 Putting all of this together, it is clear to
7 me that Mylotarg addresses an unmet clinical need
8 in patients with newly diagnosed de novo AML. The
9 addition of lower fractionated doses of Mylotarg to
10 standard induction chemotherapy significantly
11 prolonged event-free survival and relapse-free
12 survival compared to 3+7 alone with the benefit
13 extended beyond 2 or 3 years. Given that Mylotarg
14 is directed at CD33 antigen, it could become a
15 potential therapeutic option for the great majority
16 of patients with AML.

17 Regarding safety, as we heard earlier,
18 Mylotarg is associated with an increased risk of
19 myelosuppression and hepatic toxicity, including
20 VOD. The drug had a box warning when it was on the
21 market for these toxicities. For those of us who
22 treat AML, these are the kind of adverse events

1 that we are commonly managing in patients treated
2 with standard chemotherapy with AML.

3 To illustrate this, I am showing you on this
4 slide the frequency of myelosuppression at baseline
5 in the dark blue bar and during treatment in the
6 light blue bar with standard frontline chemotherapy
7 in AML. Severe myelosuppression is associated with
8 the disease itself and thus present at baseline in
9 at least half of the patients, and nearly all will
10 develop severe myelosuppression while receiving
11 standard induction chemotherapy. This then is
12 associated with hospitalization in 93 percent of
13 the patients and admission to the intensive care
14 unit in 28 percent of the patients.

15 In addition, grade 3 or higher liver
16 toxicity occurs in 22 percent of patients with
17 standard chemotherapy. These are the types of
18 adverse events that we deal with when we manage
19 patients with AML with the treatments that we have
20 today.

21 In the Mylotarg studies, VOD is associated
22 mostly with the use of stem cell transplant. So a

1 worthwhile question then is to ask how is the rate
2 of VOD with Mylotarg followed by transplant
3 compared to the risk of VOD following stem cell
4 transplant in general.

5 Looking at the rate of VOD in the general
6 population receiving stem cell transplant for any
7 reason, the rate was reported to be 14.6 percent
8 between 1995 and 2007 in a large series of studies.
9 The phase 2 pooled analysis studies with Mylotarg
10 took place during this time frame, and the rate of
11 VOD in patients who received Mylotarg followed by
12 transplant was a similar 16 percent.

13 Over time, our understanding of factors that
14 increase the risk of VOD and how to manage it has
15 improved. So results, the VOD associated with
16 transplant has decreased significantly. In a more
17 recent retrospective analysis using the Center for
18 International Blood and Bone Marrow Transplant
19 research data, that rate of VOD is 4.9 percent from
20 2008 to 2013 in patients who received transplant
21 for any reason.

22 In studies separating the rate of VOD in

1 patients who have received Mylotarg followed by
2 transplant after 2000, the rate of VOD has mirrored
3 this declining rate. Several studies from
4 different groups have reported an incidence of VOD
5 of anywhere between zero percent to 8 percent with
6 transplant following administration of Mylotarg.

7 Nevertheless, it is clear that there is an
8 increased risk of VOD when transplant patients
9 received transplant after Mylotarg. But remember,
10 we are treating patients with a highly lethal
11 malignancy, some of them who have failed multiple
12 prior lines of therapy and who have a very dire
13 prognosis. These and other similar risks are the
14 ones that we have to face and that we need to deal
15 with every day considering these patients will
16 otherwise die from the disease because they have no
17 other options.

18 In addition, there are strategies to
19 mitigate the risk of VOD in patients receiving
20 transplant after Mylotarg, and management of VOD
21 has evolved over time. Most institutions that
22 specialize in the treatment of AML and in stem cell

1 transplant have protocols in place to help prevent,
2 identify early, and manage VOD. For example,
3 certain agents should be avoided during the
4 conditioning regimen such as oral busulfan,
5 sirolimus, or dual alkylating agents. \\

6 Reduced intensity of conditioning regimens
7 have helped us manage or mitigate the risk of VOD
8 and are commonly used today. During transplant,
9 meticulous fluid management has helped, and we now
10 have defibrotide, an agent that was approved last
11 year for the treatment of VOD following transplant.

12 Summarized here is my proposed algorithm for
13 the treatment of AML that includes Mylotarg. For
14 previously untreated CD33-positive AML, I would add
15 Mylotarg to standard chemotherapy and considering
16 adding it to consolidation regardless of age or
17 cytogenetic factors. Let's not forget that
18 Mylotarg was previously approved also in the first
19 relapsed setting. I would therefore welcome having
20 it back as an option for my patients in this
21 situation who did not receive it during induction
22 and who cannot tolerate an intensive regimen, which

1 is a large majority of the patients.

2 The availability of this additional
3 treatment option would greatly benefit those of us
4 who treat this disease, and more importantly, it
5 would benefit our patients, offering them a better
6 chance of achieving a longer and potentially
7 durable and deeper response.

8 In summary, based on the data presented
9 today, I believe the benefit-risk evaluation of
10 Mylotarg is favorable. The efficacy endpoints
11 achieved in the studies presented are clinically
12 relevant. The significant and durable prolongation
13 of event-free and relapse-free survival is an
14 improvement that I would enthusiastically welcome
15 for my patients.

16 Any improvement I see in overall survival
17 with Mylotarg is something I cannot discount. The
18 opportunity to more than double the duration of
19 remission for my patients means that I can
20 potentially offer them a longer period of time away
21 from the hospital, away from transfusions, and more
22 importantly, I can delay the bleak prognosis

1 associated with the relapsed disease.

2 The safety considerations are definitely
3 important but well within what my patients
4 currently experience with the treatment options I
5 have available and nothing that is outside of what
6 I am used to dealing with in the management of
7 patients with AML with standard chemotherapy.

8 Based on the totality of clinical evidence
9 then with Mylotarg and based on my own experience
10 managing AML both in clinical trials and with
11 standard therapy, and using Mylotarg in clinical
12 trials and in general practice when it was
13 available, I firmly believe it should be approved
14 in the previously untreated de novo and in the
15 first relapse setting. Many of us in the AML
16 community want and need this agent back. I thank
17 you for your attention.

18 DR. ROTH: Thank you, Dr. Cortes.

19 We were going to have clarifying questions
20 to the sponsor now, but I think we will have the
21 agency presentation first, and then do all
22 clarifying questions both to the sponsor and the

1 agency at one time.

2 We will proceed with the FDA's presentation.

3 Dr. Jen will begin.

4 **FDA Presentation - Emily Jen**

5 DR. JEN: Good afternoon. My name is Emily
6 Jen, and I'm one of the clinical reviewers for this
7 BLA. This is the FDA review team for this
8 application.

9 There are two key issues for consideration
10 in the review of this BLA. The first is the
11 proposed gemtuzumab ozogamicin, or GO, dose and
12 schedule. GO was previously granted accelerated
13 approval in 2000 as a monotherapy at a dose of
14 9 milligrams per meter squared times 2 doses in
15 patients with relapsed AML.

16 The confirmatory trial SWOG S0106 used GO at
17 6 milligrams per meter squared in combination with
18 daunorubicin and cytarabine but was terminated
19 early after an interim analysis showed increased
20 deaths in induction and lack of improvement in
21 complete response rate in the GO arm. GO was
22 subsequently withdrawn from the U.S. market in

1 2010.

2 Based on data from studies conducted in the
3 interim, the applicant feels that a fractionated
4 schedule of 3 milligrams per meter squared GO in
5 combination with DA may address the safety concerns
6 seen with the prior dose regimens.

7 The second issue is that overall survival is
8 the established endpoint for regular approval in
9 AML. We would like to discuss whether event-free
10 survival could be an appropriate endpoint for newly
11 diagnosed patients with this disease.

12 We will first address the rationale for the
13 GO dose proposed in this application. Dr. Jee Eun
14 Lee will discuss the pharmacology data for GO
15 monotherapy. I will discuss the clinical outcomes
16 of GO monotherapy. Then Dr. Chia-Wen Ko will
17 describe the FDA's analysis of the efficacy of the
18 combination and the surrogacy of EFS for OS, and I
19 will discuss FDA's analysis of the safety of the
20 combination.

21 The rationale for fractionated dosing
22 regimen: as previously mentioned, the original GO

1 dose approved was 9 milligrams per meter squared
2 times 2 doses given 14 days apart. The current
3 proposed fractionated schedule of GO is
4 3 milligrams per meter squared per dose given on
5 days 1, 4, and 7 of induction on day 1 of first and
6 second consolidation.

7 FDA looked first at the pharmacology and
8 clinical outcomes of GO monotherapy to assess the
9 impact of GO dose fractionation on safety and
10 activity. I will now hand over the talk to Dr. Jee
11 Eun Lee for a discussion of the pharmacology of GO
12 monotherapy.

13 **FDA Presentation - Jee Eun Lee**

14 DR. LEE: Thank you, Dr. Jen.

15 Good afternoon, everyone. My name is Jee
16 Eun Lee. I am a pharmacometrics reviewer in the
17 Office of Clinical Pharmacology. I will address
18 the exposure-response relationships for safety and
19 efficacy of gemtuzumab ozogamicin, or GO, that
20 support a fractionated dosing regimen.

21 First, the PK/PD analysis for GO shows that
22 exposures of antibody and the cytotoxic agent

1 calicheamicin decrease more than proportionally as
2 GO dose decreases from 9 milligram per square meter
3 to 1 milligram per square meter. The left two
4 panels of box plots show that both Cmax and average
5 AUC of total antibody increase as dose of GO
6 increases from 0.25 milligram per square meter to
7 9 milligram per square meter.

8 Pharmacodynamic data of binding of GO to the
9 site of action, CD33 antigen, show that GO appears
10 to be saturated with doses of 2 milligram per
11 square meter and above. Because no PK samples were
12 collected from study ALFA-701, we cannot clearly
13 determine the PK/PD of GO following 3 milligram per
14 square meter when given with 7+3 regimen. However,
15 in absence of any interaction of GO given in
16 combination, we expect exposure with the
17 3-milligram per square meter dose will be lower
18 than with the 9-milligram per square meter dose but
19 sufficient to saturate the target antigen CD33.

20 Mylotarg is associated with increased risk
21 for VOD. This slide provides the exposure-safety
22 relationship for VOD. As no PK data were collected

1 from the registration trial, the exposure-response
2 relationships for safety and efficacy were explored
3 using data from study 201, 202, and 203, where only
4 9 milligram per square meter dose was administered
5 as monotherapy.

6 In general, interpretation of exposure-
7 response relationship with data from only one dose
8 is limited because the spread of exposure is mainly
9 driven by individual variability, not by dose
10 levels. However, we are trying to utilize the
11 limited data to find evidence to support potential
12 benefit of a fractionated dosing regimen.

13 The logistic regression analysis results
14 show that the risk for VOD increases as the Cmax
15 after first dose of GO increases. The increase in
16 VOD is more prominent in patients with prior stem
17 cell transplantation. After adjusting for prior
18 stem cell transplantation, the p-value was still
19 0.034 for the effect of Cmax on the risk of VOD.

20 The exposure-efficacy relationship for
21 complete remission, however, was relatively flat
22 for any exposure measures, including Cmax after

1 first dose, AUC after first dose, and average AUC.
2 Covariates associated with baseline disease
3 condition such as baseline platelet counts,
4 baseline bone marrow blasts, and baseline P-gp were
5 significant predictors for complete remission.

6 After adjusting for these covariates, the
7 p-value for the effect of Cmax on complete
8 remission was 0.605. So there is no clear evidence
9 that a significant loss of efficacy is expected by
10 reducing the dose of GO from 9 milligrams per
11 square meter to 3 milligram per square meter.

12 From a clinical pharmacology perspective,
13 fractionated dosing of 3 milligram per square meter
14 is likely to reduce the risk of VOD in patients
15 with or without prior stem cell transplantation and
16 yet likely to preserve the effectiveness of the
17 therapy.

18 Thank you for your attention. I'd like to
19 pass it over to Dr. Jen so that she can continue
20 the presentation.

21 **FDA Presentation - Emily Jen**

22 DR. JEN: Thank you.

1 To assess the impact of dose and schedule on
2 safety, FDA performed a meta-analysis analyzing VOD
3 rates reported in the literature across studies of
4 GO used as a monotherapy for the treatment of
5 patients with relapsed and refractory AML at 6 or
6 9 milligrams per meter squared, unfractionated
7 regimens, or the 3 milligrams per meter squared
8 fractionated regimen.

9 Although the number of patients treated with
10 fractionated GO in the relapsed refractory setting
11 is small, of the patients described here receiving
12 fractionated GO monotherapy, no patients developed
13 VOD. The studies referenced here can be found in
14 FDA briefing document figure 3.

15 Additionally, the meta-analysis appears to
16 show that the complete response rate is no worse
17 using fractionated GO schedule than with the
18 unfractionated 6 or 9 milligrams per meter squared
19 GO regimens in the relapsed and refractory AML
20 population.

21 The GO 3-milligram per meter squared dose
22 fractionated schedule would be expected to have

1 less VOD and no apparent loss of activity compared
2 with the unfractionated GO dose. Therefore, FDA
3 concluded that the GO 3 milligram per meter squared
4 dose fractionated schedule chosen for ALFA-0701 was
5 reasonable to study.

6 ALFA-0701 has been described in detail in
7 the applicant's briefing document as well as in
8 their presentation. Briefly, ALFA-0701 was a
9 multicenter, open label, 1 to 1, randomized phase 3
10 trial of GO plus daunorubicin and cytarabine versus
11 DA alone for induction and consolidation therapy.
12 The study included patients between the ages of 50
13 and 70 years with untreated de novo AML.

14 CD33 positivity was not required for
15 eligibility for this trial. However, of the
16 70 percent of patients with available CD33 levels,
17 none had a level of 0.

18 The primary endpoint of the trial was event-
19 free survival defined as the occurrence of an
20 event, including induction failure, relapse, or
21 death, starting from the date of randomization.
22 Overall survival was the key secondary endpoint.

1 The study enrolled 271 patients, 135 in the GO arm,
2 and 136 in the control arm.

3 I will now hand over the talk to Dr.
4 Chia-Wen Ko for a discussion of the efficacy of the
5 GO plus DA combination.

6 **FDA Presentation - Chia-Wen Ko**

7 DR. KO: Thanks, Dr. Jen.

8 Good afternoon. My name is Chia-Wen Ko. I
9 am the statistical reviewer for Mylotarg. I will
10 present the agency's efficacy evaluation in the
11 first-line AML.

12 The efficacy evaluation will be based on the
13 pivotal trial results of the primary endpoint,
14 event-free survival, EFS, and a key secondary
15 endpoint, overall survival, OS. Because the agency
16 indicated to the applicant that only OS has been
17 the accepted endpoint for regular approval in AML,
18 the applicant conducted a meta-analysis for OS as
19 well as meta-analysis for EFS and OS correlations.
20 These meta-analyses will be discussed in
21 conjunction with the pivotal trial results.

22 I will first present the pivotal trial

1 results. The pivotal trial ALFA-0701's primary
2 endpoint was EFS. It was a composite endpoint
3 consisting of time until death, time to relapse,
4 conditional on having achieved remission, and time
5 to induction failure. I would like to point out
6 that the definition of induction failure, how it is
7 included and how the time to induction failure
8 should be defined have not been consistent.

9 For ALFA-0701, in the EFS primary analysis,
10 induction failure was defined as not achieving a
11 complete remission or a complete remission with
12 incomplete platelet recovery. Induction failure
13 date was set at the date of post-induction
14 assessment, and EFS was not censored for the
15 occurrence of transplantation.

16 The result was statistically significant.
17 The experimental arm had 21 percent less events and
18 had 7.8 months longer in median EFS compared to the
19 control arm. The hazard ratio was 0.56, and
20 p-value was less than 0.001.

21 OS was the key secondary endpoint. It was
22 defined as time from randomization to death.

1 Primary analysis of OS was based on 168 death
2 events. The hazard ratio of 0.81 was not
3 statistically different from 1. Estimated median
4 survival was 21.8 months in the control arm and was
5 27.5 months in the GO arm.

6 Several points are important to the agency
7 when considering the regulatory application in
8 first-line AML. First, OS has been the accepted
9 endpoint for demonstration of clinical benefit.
10 However, an endpoint such as progression-free
11 survival that tries to describe treatment benefit
12 in terms of disease progression on treatment has
13 been accepted as a meaningful endpoint in other
14 settings.

15 Second, an important salvage therapy such as
16 stem cell transplantation may have impact on OS.
17 And third, because EFS is a composite endpoint, the
18 result may be sensitive to its definition, in
19 particular, how the event and censored observations
20 are defined.

21 It appears stem cell transplantation could
22 have impact on survival. In ALFA-0701, there was

1 21.8 percent of patients who had no CR or relapse
2 and had received a transplantation. The median
3 survival was longer in patients who received a
4 transplantation versus the ones who did not receive
5 a transplantation.

6 The pivotal trial had several sensitivity
7 analyses of EFS by alternative definitions. These
8 alternative definitions were revised from the
9 primary analysis definition. Alternative
10 definitions 1 and 3 used the date of randomization
11 as an alternative induction failure date.

12 Alternative definitions 2 and 3 had EFS censored
13 for occurrence of transplantation. Alternative
14 definitions 4 and 5 used alternative event. One
15 classified any use of salvage therapy as a
16 treatment failure, and the other considered events
17 of relapse and death only.

18 In general, the sensitivity analysis results
19 were consistent with the results from the primary
20 analysis. Even the last definition, which
21 considered only relapse or death as events, had a
22 significant result.

1 Next, I will present applicant's OS meta-
2 analysis. The applicant's meta-analysis of OS was
3 based on individual patient data from five
4 randomized GO combinations therapy trials. The
5 analysis suggested a marginal effect with an
6 estimated OS hazard ratio of 0.91. However, there
7 were important differences between the five studies
8 in age and dosing.

9 Age has been known to be an important
10 prognostic factor for survival. The dose of GO has
11 been revised over the past years for its potential
12 relationship with survival. The agency generally
13 does not accept retrospective meta-analysis of OS
14 as the primary evidence for clinical benefit. This
15 meta-analysis will be considered as an exploratory
16 analysis.

17 Next, I will discuss the EFS and OS
18 correlation meta-analysis. Considering overall
19 survival was not the primary endpoint in the
20 pivotal trial, applicant conducted EFS and OS
21 correlation analyses. The analyses were based on
22 individual patient data from the same trials used

1 for OS meta-analysis and based on summary data from
2 33 published trials of various treatments in AML.

3 EFS and OS correlation was assessed at the
4 individual level based on Kendall's tau for EFS and
5 OS concordance in individual patients as well as at
6 trial level based on R-square for linear regression
7 of EFS versus OS treatment effects. For analysis
8 in the 5 GO trials where the individual data were
9 available, a modeling technique with copula models
10 was applied.

11 There are a couple points to consider when
12 we evaluate EFS and OS correlation. First, it is
13 important to consider the correlation at both the
14 individual level and the trial level. Based on
15 hypothetical data, these two figures give an
16 example where EFS can be considered as a surrogate
17 endpoint for OS because a strong correlation
18 between EFS and OS was observed in individual
19 patients as well as in estimated treatment effects.
20 Second, a correlation of 1 would imply a perfect
21 correlation, so a correlation close to 1 would
22 indicate a strong correlation.

1 The applicant's analysis did not suggest a
2 strong correlation between EFS and OS. The
3 Kendall's tau for individual level correlation was
4 estimated in a range from 0.48 to 0.52 by various
5 models. For the trial level correlation, when the
6 R-squared was estimated using only the 5 GO trials,
7 it was estimated in a range from 0.45 to 0.62.
8 When using results from all the published trials,
9 the estimated R-squared was 0.46.

10 As the applicant's correlation analyses
11 suggest EFS and OS were not strongly correlated, we
12 looked into the EFS and OS correlation in
13 individual patients. This figure shows the scatter
14 plot of EFS and OS for the pivotal trial by EFS and
15 OS events. The ones on the diagonal line were the
16 patients whose EFS and OS were the same because
17 they either died in CR or were still alive in CR.
18 The blue and purple ones were patients who achieved
19 a CR but later relapsed, and the ones in the box
20 were patients who did not achieve a CR.

21 In the applicant's correlation meta-
22 analysis, the induction failure date was set at the

1 date of randomization. As you can see, the EFS and
2 OS correlation was not evaluable in patients with
3 no CR because EFS was the same for this group of
4 patients but OS ranged over several years. A
5 similar pattern was also observed in the other four
6 historical trials.

7 As I have shown before, a patient who
8 received a transplant could have a longer survival
9 than the ones who did not receive a transplant,
10 but it's difficult to know how much the EFS and OS
11 correlation is impacted by the use of
12 transplantation when there is no standard criteria
13 for whom and when to receive a transplant.

14 For instance, in study ALFA-0701, either a
15 treatment responder or non-responder could receive
16 a transplant, the status of transplantation
17 relative to EFS could either be before relapse for
18 response consolidation or after relapse as post-
19 relapse salvage therapy.

20 To see how the EFS and OS correlation may
21 change with the definition for induction failures
22 and with the use of transplantation, we re-ran the

1 individual data meta-analysis under various EFS
2 definitions. These definitions were similar to the
3 ones used for sensitivity analyses in the pivotal
4 trial.

5 The first five definitions all considered
6 induction failure as an event. They are different
7 in how the induction failure term is defined,
8 whether or not to consider partial or incomplete
9 remission as a treatment response, and whether or
10 not to censor for transplantation. None of these
11 definitions has suggested a strong correlation
12 between EFS and OS at both individual level and
13 trial level, but they have suggested better
14 correlations than the ones from applicant's
15 analyses.

16 In particular, the final definition
17 considering events of relapse or deaths only had
18 estimated a good individual and trial level
19 correlation between these two endpoints. However,
20 wide confidence intervals were associated with
21 these estimates because individual data were
22 available from only five studies.

1 In summary, one, the pivotal trial
2 demonstrates a statistical significant effect of GO
3 on EFS. Results from sensitivity analyses using
4 alternative definitions with or without considering
5 induction failures as an event and occurrence of
6 transplantation were consistent with the primary
7 analysis.

8 Two, confirmatory benefit of GO on OS has
9 not been clearly demonstrated. The pivotal trial
10 did not find a statistically significant effect on
11 OS, and the OS meta-analysis was limited by number
12 of studies and different dosing across studies.

13 Three, EFS as defined was not strongly
14 correlated with OS. The applicant's EFS and OS
15 correlation analysis did not suggest a strong
16 correlation between EFS and OS. Agency's
17 evaluation suggested EFS and OS were not strongly
18 correlated, but the correlations improved with
19 different definitions of EFS, and transplantation
20 had complicated the interpretation on these
21 analyses.

22 Finally, I would like to remind you that the

1 agency has accepted endpoints in the same family of
2 EFS, for example, the progression-free survival in
3 other disease settings.

4 Thank you. I will pass it back to Dr. Jen.

5 **FDA Presentation - Emily Jen**

6 DR. JEN: From a clinical standpoint,
7 overall survival clearly represents a benefit.
8 Durable complete response is also beneficial for
9 the patient, and event-free survival reflects
10 durable CR and survival. The surrogacy of EFS for
11 OS may be influenced in part by active salvage
12 therapies, including stem cell transplantation.
13 Therefore, the lack of correlation between EFS and
14 OS is not unexpected, and as has been mentioned,
15 FDA has accepted progression-free survival for drug
16 approvals in other diseases with similar
17 circumstances.

18 We would like the committee to discuss
19 whether event-free survival itself could represent
20 a clinical benefit for patients with newly
21 diagnosed AML. This concludes our discussion of
22 efficacy. I will now focus on the analysis of

1 safety of GO plus DA.

2 FDA's analysis of safety was based on all
3 patients treated in ALFA-0701, data from the
4 applicant-submitted individual patient data meta-
5 analysis, and a review of randomized trials of GO
6 plus chemotherapy in the literature.

7 There are some potential limitations to this
8 data. ALFA-0701 was not prospectively performed
9 for regulatory purposes, and only predefined
10 grades 3 and 4 adverse events were recorded.
11 Therefore, the applicant performed a retrospective
12 collection of adverse events of special interest,
13 capturing all grades of hemorrhage and VOD, severe
14 infections, and any other adverse event that led to
15 early permanent discontinuation of GO or
16 chemotherapy.

17 All safety analyses done by FDA have been
18 conducted on this dataset of retrospectively
19 collected AEs. Additionally, for the IPD meta-
20 analysis, safety data is available only for a
21 limited list of prespecified composite grade 3 and
22 4 events. Therefore, a more detailed analysis is

1 not possible.

2 FDA reviewed the available data, focusing on
3 early mortality, treatment-related adverse events,
4 VOD, hepatotoxicity, hemorrhage, and prolonged
5 thrombocytopenia. Although ALFA-0701 randomized
6 patients 1 to 1 between the GO and control arms,
7 some patients randomized to the GO arm did not
8 receive GO in each phase of treatment. This
9 resulted in an as-treated population that changed
10 unpredictably with each cycle. The as-treated
11 patient numbers detailed in this table are the ones
12 used in FDA's analysis of safety, and all adverse
13 events of special interest and patient deaths were
14 independently adjudicated by FDA. Therefore, there
15 may be small differences in the numbers presented
16 by FDA and those presented by the applicant.

17 In ALFA-0701, early mortality, defined as
18 death within the first 30 days of treatment,
19 occurred in 4 percent of patients in the GO arm
20 compared with 2 percent of patients in the control
21 arm. Of the 5 deaths occurring in the GO arm, 4
22 were determined to be treatment related. Two

1 patients died of CNS hemorrhage, 1 of hemorrhagic
2 shock, and 1 patient died of VOD. In the control
3 arm, there were 3 deaths overall, 1 determined to
4 be treatment related, which was due to sepsis in
5 the setting of bone marrow aplasia.

6 In this figure provided by the applicant,
7 the IPD meta-analysis supports a trend towards a
8 reduction in the odds ratio for early mortality
9 with reduced doses of GO. This trend persisted
10 when results from the published data were
11 considered.

12 In particular, the disparity in 30-day
13 mortality between treatment arms in ALFA-0701 is
14 lower than in the confirmatory trial SWOG S0106
15 with odds ratios of 1.99 and 3.58, respectively.
16 This suggests that the 3-milligram per meter
17 squared fractionated GO schedule is safer with
18 regards to early mortality.

19 This slide shows ALFA-0701 adverse events
20 occurring during induction in order of risk
21 difference of GO compared with the control arm.
22 Adverse events that were higher in the GO arm were

1 due primarily to bleeding and to a lesser extent,
2 infection. VOD was also greater in the GO arm.
3 These differences in rates occurred during each
4 phase of treatment, and more detailed lists can be
5 found in tables 18 and 22 of the FDA briefing
6 document.

7 The proportion of patients permanently
8 discontinuing treatment due to adverse events was
9 higher in the GO arm at 31 percent versus 7 percent
10 in the control arm. The adverse events that
11 primarily accounted for this difference were
12 thrombocytopenia and hepatobiliary disorders.

13 Six patients in the GO arm of ALFA-0701
14 developed VOD, and 3 cases were fatal.
15 Additionally, 2 patients in the control arm
16 developed VOD after receiving compassionate use GO
17 for relapsed disease. Seven of the 8 patients
18 developed VOD without a prior transplant. 1 of
19 those 7 went on to develop VOD a second time a few
20 days following transplant, and the remaining
21 patient developed VOD 25 days after transplant. In
22 the meta-analysis and literature, there was a trend

1 towards decreased imbalance of VOD with decreasing
2 doses of GO.

3 In ALFA-0701, grade 3 and 4 bilirubin
4 increases and AST elevations were more common in
5 the GO arm versus the control, and AST elevations
6 were not significantly higher with GO. There were
7 8 potential Hy's Law cases, 5 cases in the GO arm,
8 and 3 cases in the control arm. Hy's Law
9 identifies patients who are at high risk for fatal
10 drug-induced liver injury.

11 In the GO arm, 1 patient died of VOD, and 1
12 died due to disease progression. In the control
13 arm, 1 patient recovered after discontinuation of
14 chemotherapy. The remaining 5 out of 8 patients
15 had resolution of their abnormal liver tests
16 without discontinuation of treatment. The meta-
17 analysis data show a trend for decreased imbalance
18 in grade 3 and 4 bilirubin and AST elevations with
19 decreasing GO dose.

20 Hemorrhage occurred at a higher rate in
21 patients treated with GO both overall and in each
22 phase of treatment. Grade 3 or higher hemorrhage

1 was almost more frequent in patients treated with
2 GO, and fatal hemorrhage was reported in 4 patients
3 in the GO arm compared with none in patients
4 treated with DA alone.

5 Of note, ALFA-0701 had a higher overall risk
6 difference for grade 3 and higher hemorrhage in any
7 phase than other protocols in the meta-analysis or
8 literature review, with a risk difference of
9 13.4 percent with GO plus DA over DA alone.

10 Overall and during each treatment phase,
11 time to platelet recovery was longer in patients in
12 the GO arm versus the control arm. Furthermore, a
13 delay in platelet recovery time of greater than
14 45 days was reported in a larger percent of
15 patients in the GO arm in each phase of treatment.

16 Here on the far right, included in the
17 control arm, 2 of the 6 patients in consolidation 1
18 and 8 of 28 patients in consolidation 2 had been
19 treated with GO in the previous cycle and had GO
20 permanently discontinued due to prolonged
21 thrombocytopenia, indicating that this effect may
22 be cumulative. In contrast, time to neutrophil

1 recovery was similar between arms, as can be seen
2 in FDA briefing document table 20.

3 The mechanism of this prolonged
4 thrombocytopenia is unclear, but the meta-analysis
5 shows a trend for reduced imbalance in
6 thrombocytopenia with reduced GO dose.

7 In summary, ALFA-0701 was not prospectively
8 performed for regulatory purposes, and the safety
9 analysis is limited by the retrospective nature of
10 the adverse event collection. However, 30-day
11 mortality was not significantly different between
12 treatment arms.

13 Adverse events that were more frequent with
14 GO were due to bleeding or infection, and these
15 differences occurred during all phases of
16 treatment. VOD occurred in 5 percent of patients
17 treated with GO versus zero percent of patients who
18 did not receive GO.

19 Hemorrhage events occurred more frequently,
20 and platelet recovery appeared delayed in patients
21 treated with GO compared to those with DA alone.
22 The additional data from the published literature

1 were consistent with the clinical trial safety
2 findings.

3 An issue for discussion is whether the
4 available safety data allay the concerns about the
5 safety of GO when added to DA for treatment of
6 patients with newly diagnosed AML.

7 To briefly summarize, fractionated GO in
8 combination with DA showed a clinically meaningful
9 EFS benefit with an improvement in median EFS of
10 7.8 months with GO plus DA over the control arm.

11 The hazard ratio for EFS was 0.56 with a
12 p-value less than 0.001. However, a corresponding
13 OS benefit was not seen, and in the meta-analysis,
14 EFS was not found to have a strong correlation with
15 OS. This analysis may have been confounded in part
16 by active salvage therapies, including stem cell
17 transplantation.

18 From a safety perspective, there remains a
19 risk of VOD with an incidence of 5 percent with GO,
20 and patients treated with GO had prolonged platelet
21 recovery time and more high-grade hemorrhage. But
22 the difference in early mortality with GO plus DA

1 versus DA alone is small, and the disparity in
2 30-day mortality between treatment arms in
3 ALFA-0701 is lower than that reported for S0106,
4 suggesting that the lower dose of GO in the
5 fractionated schedule is safer with regards to
6 early mortality.

7 Overall, the GO 3-milligram per meter
8 squared dose fractionated schedule appears to be
9 safer for use with DA than the previously studied
10 6-milligram per meter squared dose. We will ask
11 the committee to discuss whether event-free
12 survival may be a reasonable endpoint for new
13 therapies for treatment of patients with newly
14 diagnosed AML.

15 The voting question is, do the results of
16 ALFA-0701 demonstrate a favorable risk-benefit for
17 gemtuzumab ozogamicin 3 milligrams per meter
18 squared on days 1, 4, and 7, added to DA for
19 patients with newly diagnosed CD33-positive AML?
20 This concludes our presentation.

21 **Clarifying Questions**

22 DR. ROTH: Thank you, Dr. Jen.

1 We will move on to clarifying questions,
2 both for the applicant and the agency. If you have
3 a question, just give a little hi sign to Jennifer
4 here. She can write your name down, and we will
5 try to take those in order.

6 I would do as I say, not as I do, and remind
7 yourself to say your name before you ask the
8 question for those transcribing the notes for
9 today. Dr. Sung?

10 DR. SUNG: I actually have three questions
11 about safety and two questions about efficacy.
12 With regard to the first question about safety, as
13 Dr. Chirnomas and Dr. Lee presented, it's predicted
14 that a lower Cmax with a 3 by 3 dosing would lead
15 to less VOD. However, according to the briefing
16 documents supplied by Pfizer in SWOG S0106, there
17 was a 1.7 percent rate of VOD with the 6 milligram
18 per meter squared versus 4.6 percent in ALFA-0701.
19 So it appears that the VOD is actually higher
20 unless there was a typo or something.

21 DR. WEBB: Thank you for the question. Yes,
22 as you recall, in the SWOG study, the dosing was

1 the higher single dose of 6 mgs per meter squared
2 versus the 3 fraction, the 3 mgs per meter squared.
3 The SWOG study was stopped early based on the data
4 safety monitoring committee decision, and so the
5 follow-up is relatively limited in comparison to
6 the ALFA study.

7 I'm not sure if we know exactly what the
8 total incidence of VOD might have been in SWOG.
9 What we know is what's published and has been
10 reported by SWOG. But we do know quite well the
11 rate in ALFA, given that those patients were
12 followed for a long period of time.

13 DR. SUNG: Related to that, the documents
14 from Pfizer also presented the VOD rates in the
15 201, 202, and 203 studies, and it appears to be
16 about 5.4 percent there, which again seems quite
17 similar to 4.6 percent in the ALFA study.

18 DR. WEBB: Yes. If we could call up
19 slide MA-62 to refresh everyone's memory. So this
20 is a slide that summarizes the incidence of VOD in
21 the different studies, in the 201 to 203 studies,
22 which you mentioned, which were the first three

1 studies that led to the accelerated approval of
2 Mylotarg in the year 2000 all with a dose of 9 mgs
3 per meter squared, you do see the rate is
4 5.4 percent. Then there was a real-world
5 experience study that was done as a post-approval
6 commitment, the 847 study, which is in the light
7 blue on the slide. We showed the higher incidence
8 of 9.1. And then there is the ALFA study, as you
9 referenced.

10 Certainly, one needs to take into account
11 not only the incidence of VOD but certainly the
12 incidence of fatal VOD, which you will note is
13 lower with the fractionated dosing in the ALFA
14 study both in the context of overall incidence as
15 well as following hematopoietic stem cell
16 transplant.

17 DR. SUNG: Continuing the subject of VOD, I
18 would also refer to the briefing documents from
19 Pfizer, page 43, table 10, where it shows that in
20 the 31 patients on the Mylotarg chemotherapy arm,
21 there was a 9.7 percent VOD incidence in the
22 patients who received transplant. In the

1 chemotherapy alone arm, it notes 2 patients. But
2 am I correct in understanding those are the two
3 patients who received GO, and therefore the
4 incidence of VOD in transplant patients in the
5 chemotherapy alone arm is actually zero?

6 DR. WEBB: That is correct, yes. So
7 following the withdrawal of Mylotarg from the
8 market, an expanded access program was initiated.
9 And the two patients in the control arm in ALFA did
10 in fact receive Mylotarg as part of the
11 compassionate use program, I believe both in the
12 salvage setting. My understanding is both of them
13 recovered from their VOD.

14 DR. SUNG: One question I had in terms of
15 efficacy -- and this is for the broader group of
16 presenters -- I noticed on Dr. Webb's presentation,
17 slide 33, that patients with poor-risk cytogenetics
18 did not appear to derive any benefit from Mylotarg.

19 We are currently in an era where we are
20 trying to personalize our therapies for our
21 patients depending on their disease
22 characteristics. I cite the Beat AML trial

1 sponsored by LLS as one prime example where we are
2 even willing to delay induction chemotherapy to try
3 to personalize and give the best treatment for our
4 patients.

5 In patients with poor-risk cytogenetics,
6 whether we discover it when they're newly diagnosed
7 or patients who we know have poor-risk cytogenetics
8 because they have a previous MDS, should they
9 receive Mylotarg? Is there any efficacy?

10 DR. WEBB: Yes. So if we could show, first,
11 slide MA-33, and then we can show EF-232 after
12 that. This is the slide from the main deck that
13 you're referring to.

14 First of all, before we start, certainly, we
15 all acknowledge the limitations associated with
16 subgroup analyses. You can see here that the
17 number of patients in the adverse cytogenetic group
18 in comparison to the favorable or intermediate is
19 relatively small, but as we did note, the odds
20 ratio for event-free survival in that group in the
21 ALFA study was 1.11.

22 If we can show slide EF-232, this is looking

1 at the data with the advantage of the greater
2 patient population included in the meta-analysis,
3 and you can see here that both event-free survival
4 and relapse-free survival are improved with the
5 treatment with Mylotarg.

6 The odds ratio for overall survival in the
7 adverse cytogenetic group is 1, indicating that
8 some patients certainly did not benefit in terms of
9 overall survival. However, it also in aggregate
10 does not show evidence of deteriorating overall
11 survival with treatment with Mylotarg.

12 Also, you need to take into account that in
13 many patients, as you referenced the concept of
14 personalized medicine, you may not have cytogenetic
15 data available at the time that treatment needs to
16 be initiated.

17 DR. SUNG: Then my final question in terms
18 of efficacy, if you have a patient you know that
19 you're going to transplant and all you need is a CR
20 to get them to transplant, and there's no
21 difference in CR, what is the benefit in giving
22 that patient Mylotarg, exposing them to the safety

1 risks and also the 10 percent VOD risk?

2 DR. WEBB: If we look at response rates in
3 the two arms, there is an improvement in response
4 rate in the Mylotarg arm that is not statistically
5 significant. The true CRs per se are quite similar
6 in the two arms, and CRP is slightly higher in the
7 Mylotarg arm.

8 I think that whether to take that patient to
9 transplant or whether to treat them with Mylotarg
10 in order to take them to transplant, I think it's
11 probably best addressed by one of our physician
12 colleagues.

13 Dr. Stone, you would mind addressing that
14 question?

15 DR. STONE: I think it's an excellent
16 question. However, I'd just like to point out that
17 not all CRs are the same, and the transplant
18 community has been quite correct to point out over
19 the years that CRs when there's still measurable
20 residual disease do poorly. So the CR rate in the
21 ALFA trial was higher. There's data, which we
22 didn't have time to go into, that suggests that at

1 least when it can be measured, the depth of CR is
2 better in those people that were exposed to
3 Mylotarg upfront.

4 I think there is pretty good data supporting
5 the use of better, a more deep remission treatment,
6 which you can do with Mylotarg in getting a better
7 result after transplant. There is data that those
8 who were transplanted in the ALFA study, and if you
9 look at those who were transplanted and first
10 remission, the ones who received Mylotarg actually
11 did better, not statistically significant, but they
12 did better, analogous to what we saw in midostaurin
13 or what we've seen in CPX-351.

14 DR. ROTH: Dr. Nowakowski?

15 DR. NOWAKOWSKI: Greg Nowakowski. Question
16 to the applicant. If you look at the data on
17 overall survival from ALFA study showed on
18 slide 36, they appear to be correlating closely to
19 overall survival shown in the meta-analysis on
20 slide 41 in terms of the median overall survival.

21 However, if you look at the primary endpoint
22 of ALFA study of event-free survival, they appear

1 to be significantly different than event-free
2 survival seen on meta-analysis on slide 41 with GO
3 arm median event-free survival of 20.3 months
4 versus 9.6 months median event-free survival in
5 meta-analysis.

6 Would you discuss the difference between the
7 event-free survival in meta-analysis and in ALFA
8 study?

9 DR. WEBB: Yes. So certainly, really
10 focusing on the meta-analysis, it's important to
11 note that the studies were selected based on the
12 prespecified criteria determined by Dr. Hills, that
13 those be studies looking at combinations with
14 intensive chemotherapy in the previously untreated
15 population.

16 So the meta-analysis criteria-selected
17 studies before their results were known, and that
18 really is the reason why the event-free survival
19 hazard ratio is 0.85 and the meta-analysis was 0.56
20 in the ALFA study.

21 It's important to note that the
22 meta-analysis did include negative studies,

1 including the SWOG study, which is the study that
2 led to the withdrawal of Mylotarg when that did not
3 meet its endpoints. In addition, it included
4 another study with a higher dose regimen of 6 mgs
5 per meter squared, the GOELAMS study, as well as
6 the AML 15 study, which was also negative. So it's
7 really a reflection of variation in effect across
8 positive and negative trials.

9 DR. NOWAKOWSKI: The definition of EFS was
10 the same in both analyses, right?

11 DR. WEBB: The EFS result is somewhat
12 different. As you heard earlier, in the
13 sensitivity analysis the FDA did, it really doesn't
14 impact results.

15 Dr. Benner, if you could perhaps share some
16 of the sensitivity analyses that we did to look at
17 different definitions across the studies.

18 DR. BENNER: Becky Benner of Pfizer
19 statistics. Actually, I think I might like to
20 follow up first on the differences in the
21 definitions briefly.

22 Yes, as mentioned, there was a slight

1 difference in terms of how the date of induction
2 failure was determined, where the primary analysis
3 of ALFA, it was at the time they were determined to
4 be an induction failure, whereas using the
5 individual patient level data meta-analysis, it was
6 taken to be the randomization date.

7 From the sensitivity analyses we've done of
8 that, that doesn't really make much difference in
9 terms of the hazard ratios. So I don't think
10 that's necessarily a large driving factor into
11 this.

12 Then another factor that was different
13 between the two definitions is in order to have
14 some consistency between slightly different aspects
15 of the induction period of the five studies, the
16 patients were counted as a responder if it was
17 within 60 days of randomization. So there was also
18 some slight differences in the definition due to
19 this point.

20 That's just a little bit of the elaboration,
21 although I don't think these subtle differences in
22 the definition are making a large impact in terms

1 of the results.

2 DR. NOWAKOWSKI: Thank you. The second
3 question is in regard to CD33 expression. If you
4 look at the forest plot provided by the sponsor in
5 figure 6 in the briefing document, there appears to
6 be no relation between the efficacy and CD33
7 expression. Even in patients with lower expression
8 of CD33, you see effect on efficacy.

9 With targeted therapy, we would usually
10 expect some correlation with the expression of the
11 target. So could you elaborate a little bit more
12 on the lack of correlation of the CD33 expression?

13 To follow up on this, Dr. Cortes just showed
14 us in his algorithm that in CD33-positive AML, he
15 would add GO to induction regimen. So what's the
16 level of positivity here because this study, as I
17 understand it, did not require CD33 positivity at
18 the enrollment. So what's the actual cutoff,
19 1 percent, 10 percent, or where do we draw the
20 line?

21 DR. WEBB: Thank you. I'll ask my colleague
22 Dr. Laird to address your questions.

1 DR. LAIRD: Douglas Laird, Pfizer,
2 translational oncology. That's an excellent
3 question. In the context of the ALFA-0701 study
4 and also the IPD meta-analysis, we explored
5 30 percent and 70 percent cutoffs to look at the
6 impact of the degree of CD33 positivity on clinical
7 endpoints.

8 What we saw in the IPD meta-analysis where
9 we have the greatest patient numbers, allowing for
10 the fact that these are retrospective subgroup
11 analysis, we saw a potential for clinical benefit
12 for the addition of Mylotarg to chemotherapy using
13 both the 30 and 70 percent cutoffs for the lower
14 fractions in each case. Those endpoints included
15 EFS, overall response rate, OS, and relapse-free
16 survival.

17 In terms of a threshold below which there
18 might be no benefit, certainly in the less than
19 30 percent population, which constituted about a
20 quarter of the population in the IPD meta-analysis,
21 the benefit appeared to be maintained for all the
22 readouts. That said, we have insufficient data to

1 comment on the potential for benefit in true CD33-
2 negatives, i.e., patients with positivity rounding
3 down to zero, for example, of which there are very
4 few subjects.

5 Given the mechanism of action of the
6 compound, which is after all a CD33-directed
7 therapeutic, we do feel that the conservative
8 approach is to propose including CD33 positivity in
9 the indication even though CD33 positivity was not
10 an inclusion criteria in the studies in the IPD
11 meta-analysis.

12 DR. NOWAKOWSKI: The cutoff for CD33
13 positivity would be defined as?

14 DR. LAIRD: There is no universally agreed
15 definition of CD33 positivity. So I think
16 certainly from the point of view of -- we've
17 certainly looked at the population broken up into
18 deciles and saw that, overall, for example, we had
19 11.5 percent of subjects were below 10 percent.
20 But again, those sorts of numbers don't support
21 efficacy analysis.

22 DR. NOWAKOWSKI: Thank you.

1 DR. ROTH: Roth, St. Louis. Don't go
2 anywhere because I was going to hop on the back of
3 Greg's question there.

4 Let me ask it a different way and maybe kind
5 of a contra-argument to Dr. Sung's personalized
6 medicine. I'm having a difficult time figuring out
7 why mandating CD33 positivity would be in the
8 indication. It wasn't required for eligibility for
9 the pivotal trial. We see that 90 percent of
10 patients, both from Dr. Stone, Dr. Cortes' slides,
11 express it, and from Dr. Webb's slides, that the
12 level of expression didn't really predict for
13 benefit of EFS or not.

14 Not to be a cynic, but the more restrictive
15 the indication, the more an excuse for a payer not
16 to cover a drug. Unless you can tell me that
17 they're not going to benefit from it, and as a
18 majority of the people do, I was just trying to
19 make that leap of why we would be more restrictive
20 in the indication.

21 DR. WEBB: The reason that the CD33 is
22 included in the proposed indication statement is

1 that we do not have significant data in
2 CD33-negative patients. Certainly, we have data in
3 patients with very low levels of CD33, but we don't
4 have specific data to address that question in
5 terms of benefit.

6 DR. ROTH: Thank you. Dr. Harrington?

7 DR. HARRINGTON: Two questions of
8 clarification, I think both for the sponsors. I
9 believe it was Dr. Cortes pointed out in his
10 presentation that there are strategies for
11 mitigating VOD post-transplant, and I wonder if
12 there are any data that show that those strategies
13 might be effective in the presence of Mylotarg.

14 DR. WEBB: Thank you for your question.
15 I'll ask my colleague Dr. Chirnomas to respond.

16 DR. CHIRNOMAS: Hi. Debbie Chirnomas,
17 Pfizer oncology. The direct answer in terms of
18 head-to-head studies really showing specific
19 medical mitigation strategies preventing VOD are
20 not available. However, when you look at really
21 the VOD rates across all transplant, as Dr. Cortes
22 mentioned, in the last 17 years, we've seen a

1 steady drop in the VOD rates overall. And I think
2 it's going to be very hard to try to isolate the
3 use of Mylotarg.

4 We do have data, as I mentioned, some
5 preliminary data from the CIBMTR telling us
6 that -- they looked at their patient database from
7 2008 to 2011, and they looked at the patients who
8 had been exposed to Mylotarg, and they case
9 controlled.

10 They did age matched and disease status
11 matched case controls for patients who did not have
12 Mylotarg, and the Mylotarg-exposed patients had a 4
13 percent rate of VOD, and the non-Mylotarg patients
14 had a rate of 3 percent. And that's consistent
15 with the recent ASH presentation from the CIBMTR
16 looking at the rate of VOD in 13,000 patients,
17 which showed a 5 percent rate of VOD overall.

18 So the answer again directly is no, we're
19 not sure that specifically targeting doing better
20 with Mylotarg will make VOD less. But we know that
21 those mitigation strategies overall for VOD have
22 made VOD less, and so we have reason to hope that

1 that will continue to be the case.

2 DR. WEBB: Thank you.

3 I'm sorry. Just to add to that, one of the
4 goals of the prospective CIBMTR collaboration that
5 was referenced is actually prospectively to collect
6 data concerning such elements such as therapy since
7 the data is really very anecdotal at the moment.

8 DR. HARRINGTON: Thank you. For a non-
9 clinician, setting aside the notion of surrogacy
10 here, I guess I'd like an explanation that a non-
11 clinician can understand why EFS is a better
12 clinical endpoint or at least as useful as relapse-
13 free survival. What is it telling you that is
14 helpful in the future management of the patients?

15 DR. WEBB: Thank you. I'll ask my colleague
16 Dr. Stone to address your question.

17 DR. STONE: As was pointed out, relapse-free
18 survival is calculated in AML from the time a
19 patient has a complete remission. So the relapse-
20 free survival benefit here was evident in the ALFA
21 study, but event-free survival is a composite
22 endpoint, as was pointed out numerous times, which

1 also involves people who don't go into remission.

2 Not going into remission is a bad thing if
3 you have AML. If you're not in remission, you've
4 been in the hospital for six weeks. Maybe you've
5 got one or two cycles of induction chemotherapy,
6 and you're still not in remission. So there's very
7 little chance you're going to be able to salvage
8 those patients. Extremely poorly and numerous data
9 have shown that from MBS and elsewhere.

10 If you want to analogize progression-free
11 survival to event-free survival, you take a bunch
12 of tumor cells, which are throughout the body
13 rather than in one lump, you give chemotherapy, and
14 it shrinks either not at all or just a tiny bit.
15 So that would be a progression-free survival event
16 and a pro-free survival analysis to my mind.

17 That's why I think event-free survival is a
18 relevant clinical endpoint because it picks up the
19 problem of not going into remission and relapsing
20 after remission. I hope that answered the
21 question.

22 DR. HARRINGTON: I think it does. I'm

1 struck by the strikingly larger correlation between
2 relapse-free survival and survival than event-free
3 survival.

4 DR. STONE: I think that has to do with the
5 sensitivity analyses that were shown quite
6 elegantly by you and that event-free survival is
7 very sensitive to how you measure it. But in
8 general -- and so relapse-free survival, you're in
9 remission, it's easy. Event-free survival is a bit
10 more complicated. I think that's part of the
11 problem with the lack of correlation, but I'm not a
12 statistician.

13 DR. HARRINGTON: Thank you.

14 DR. ROTH: Dr. Cole?

15 DR. SUNG: Sorry. Can I just make one more
16 comment on that as well, just as a clinician? If
17 you pull up slide 33 -- can we show MA-33? Thanks.
18 FDA slide 33. It's the one with the event-free
19 survival curves. I'm sorry. I must be looking at
20 a different set than -- sorry, 18. I apologize.
21 Thank you.

22 So as a clinician, I don't always show my

1 patients these curves, but those who ask, I can
2 just point to them and look. I say, "Which of
3 these two curves would you rather be on? Would you
4 rather be on the curve where you have significant
5 event-free survival, you don't need a transplant,
6 you're doing well, or would you rather be on the
7 side where you have to have salvage chemotherapy,
8 you have to go to transplant with the associated
9 risks?"

10 Transplant has 20 to 30 percent treatment-
11 related mortality. So as a clinician, I believe
12 that event-free survival is a critically important
13 endpoint.

14 DR. ROTH: Dr. Cole?

15 DR. COLE: Thank you. My question is along
16 those same lines, in fact. There seems to be
17 something of a survival benefit or a trend toward
18 survival benefit with GO in the ALFA-0701 study,
19 but it doesn't achieve the statistical
20 significance. So we're left with this issue of the
21 absence of a clear survival benefit in a pivotal
22 trial, the decision to use GO might be justified by

1 considerations of quality of life; namely, whether
2 delayed relapse confers a quality of life benefit
3 in light of the increased toxicity with GO.

4 My question is whether any evaluation of the
5 quality-of-life impact or this tradeoff was
6 performed.

7 DR. WEBB: We did not have quality-of-life
8 or PRO data for Mylotarg, which largely reflects
9 the time frame in which the studies were conducted.
10 So unfortunately, we don't have data to
11 specifically address your question. One would have
12 to infer from the adverse event data and the
13 maintenance of remission as an indicator of
14 positive likelihood of quality of life.

15 DR. ROTH: Dr. Chen?

16 DR. CHEN: I have one question on efficacy
17 and then a question on safety. One thing I did
18 notice in the 30-day mortality data was there did
19 seem to be an increase in treatment-related
20 mortality of 4 versus 1 at the 30-day treatment-
21 related mortality. I was wondering if there was
22 longer follow-up of that. Was there a difference

1 at 100 days or longer, even though there wasn't a
2 difference in all-cause 30-day mortality.

3 The second question I had was in terms of
4 echoing the prior comment by Dr. Sung, the AML 15
5 study, the AML 16 study, and this pivotal study
6 here, they all did not individually show a benefit
7 in adverse cytogenetics, and they all did show a
8 significant benefit, particularly in the good
9 cytogenetic risk group.

10 You are asking for a broad approval across
11 all AML when there may be certainly an increase in
12 toxicity. In the patients that have adverse
13 cytogenetics, you could argue that they would
14 actually do worse with this treatment.

15 DR. WEBB: Thank you for your questions.
16 I'll ask Dr. Chirnomas to address the first
17 question relating to causes of early mortality and
18 Dr. Cortes to address considerations in treatment
19 of patients with adverse cytogenetics.

20 DR. CHIRNOMAS: Debbie Chirnomas, Pfizer
21 oncology. I showed in the main deck -- but there
22 was a lot of information. If we could look at

1 MA -- I'm going to get it wrong. But what I would
2 like to show is that at 30 days, the all-cause
3 death was similar, but not the same, as you point
4 out -- MA-57, please -- but at 60 days, the death
5 rate is the same.

6 Is that what you were asking about?

7 DR. CHEN: No. My question is treatment-
8 related mortality, not all-cause mortality.

9 DR. CHIRNOMAS: If we can pull up SA-261,
10 please. Thank you. Here, you can look at the
11 differences between the causes of death, and as you
12 might expect, there is again one liver case, and
13 then there's some infection; again, a little bit
14 more hemorrhage, which I think is consistent with
15 what we've seen; so not a big change, just more
16 consistent with the known profile.

17 Does that answer your question?

18 DR. CHEN: Yes, to a certain extent, but I
19 do see from this small data cut, there still is an
20 increased higher rate of non-treatment-related
21 mortality in the Mylotarg arm.

22 DR. WEBB: Perhaps you could clarify the

1 question. Is there a question associated with that
2 comment?

3 DR. CHEN: No, there's not a question.

4 DR. ROTH: Dr. Taylor? Oh, I'm sorry.

5 DR. CORTES: Thank you. I can just make a
6 comment -- first before answering the question
7 about the cytogenetics, about this early mortality
8 rates, there is a small imbalance. We just saw
9 that. However, we in general think that any early
10 mortality below 5 percent is quite acceptable in
11 AML.

12 So all our treatment options are within that
13 range, and you will see different studies showing
14 small variations within that number. But again,
15 anything below 5 percent, we consider within
16 acceptable ranges.

17 In terms of the cytogenetics, there's no
18 question that there is no survival benefit with the
19 studies that we saw today. There's no survival
20 disadvantage with the addition of gemtuzumab, but
21 there's no survival benefit. We do see, however,
22 particularly when we consider the totality of the

1 patients in the meta-analysis, that there is a
2 benefit in event-free survival and a benefit in the
3 relapse-free survival.

4 This particular patient population is very
5 difficult. There's really nothing that has worked
6 in this patient population. Our best hope for a
7 patient with these kind of characteristics is to
8 try to get them to a transplant. Even within
9 transplant, those patients have the worst prognosis
10 compared to patients that have -- but at least we
11 have a chance.

12 Having a better chance of response and a
13 more durable response will give me a better chance
14 of identifying a donor and getting them to a
15 transplant. So although I would, of course,
16 welcome the benefit in survival, the benefit in
17 event-free survival and the benefit in relapse-free
18 survival is not only welcome, but it's among the
19 best that I've seen in any other approach that has
20 been tried in AML.

21 DR. CHEN: One last question, if I may. The
22 consolidation used in this study was not the

1 standard that is used in the United States. The
2 United States uses high-dose AraC for
3 consolidation, the standard 3 grams per meter
4 squared that we're all familiar with as clinicians.
5 This study did not use that, and how do you -- what
6 was the reason for using this alternative regimen,
7 and do you think that would have any effect on our
8 interpretation of these results?

9 DR. WEBB: Thank you. I'll ask Professor
10 Dombret to address your question concerning this
11 grouped study.

12 DR. DOMBRET: Thank you very much. Good
13 afternoon. My name is Herve Dombret. I'm from the
14 University Hospital Saint-Louis in Paris. I'm the
15 director of the leukemia program here, and I'm
16 chairing the Acute Leukemia French Association, the
17 ALFA group, for 20 years.

18 To answer your specific question on the
19 consolidation design, you have to remind that the
20 patient population ranged from 50 years of age
21 until 70 years of age, so it's not the totally
22 younger patient, adult patients.

1 In this age range, there is no standard
2 post-remission chemotherapy well accepted at the
3 worldwide level. We retain two courses based on
4 intermittent dose cytarabine during this trial
5 mostly because of this age selection.

6 DR. CHEN: If that was the case, then why is
7 the application not restricted to ages 50 to 70?

8 DR. WEBB: The age range of the study was
9 selected based on competing studies at the time.
10 Our assessment of the data is that if there is
11 evidence of benefit in the older patient population
12 who are more likely to do poorly, it's reasonable
13 to assume that the ALFA regimen, at least the
14 experience with the induction regimen would be
15 applicable to the younger patient population, which
16 of course was included in the meta-analysis, if you
17 look at those results.

18 DR. ROTH: Sorry. Now Dr. Taylor.

19 DR. TAYLOR: Thank you. Yes, I wanted to
20 follow up on what Dr. Cole had asked. I know you
21 said that you didn't have any quality of life
22 actual measures, but is there -- I guess we use

1 this word "surrogate" a lot here today. Are there
2 surrogate measures like hospital length of stay,
3 number of blood products used, things like that?

4 Certainly, as a patient who had AML, for me,
5 event-free survival, relapse-free survival, those
6 all mean progression disease or free of progression
7 of disease, and those are very important. But were
8 these folks -- because the toxicity that we talked
9 about, were they happier with that longer event-
10 free time?

11 DR. WEBB: I'll ask first Dr. Benner to
12 share the data that's available concerning those
13 endpoints, and then I'll ask Dr. Cortes to share
14 his assessment of patients' quality of life.

15 I think you do need to take into
16 consideration, though, that once the patient is in
17 remission that the need for those transfusion,
18 et cetera, is going to be much less. So there's
19 this intense period during therapy and then the
20 longer period, which is reflected in the EFS and
21 the RFS.

22 We can share the data with you later. We'll

1 get that for you. If I can have Dr. Cortes come up
2 and address his clinical impressions.

3 DR. CORTES: Thank you. I don't have the
4 direct data from the studies, but what I can
5 mention is that in clinical practice, certainly a
6 patient that achieves a remission, even though they
7 continue with consolidation, their general
8 condition seems to be very different.

9 I will explain, for example, what happens in
10 our setting in my institution. The induction
11 chemotherapy is done in an inpatient setting, and
12 once they recover, the consolidation, it tends to
13 be an outpatient administration. If we do it
14 inpatient, we actually have a unit that's less
15 intensive because these patients go in and come out
16 of the hospital very quickly.

17 They are much less frequently in the
18 hospital, much less frequently in the clinic. They
19 tend to go more back home. Many of the patients
20 that come see us come from distant places, so
21 whereas a patient who is not in remission, they
22 have to stay locally, they are more admitted to the

1 hospital with complications. They always are
2 admitted to the higher intensity unit, et cetera.

3 I don't have direct data. We manage these
4 patients very, very different because they are in a
5 very different situation. My expectation is
6 that -- and I would like to see the data, but my
7 expectation would be that we would see a gross
8 imbalance in terms of blood utilization and
9 hospital admission, et cetera.

10 DR. SUNG: If I could just comment on that
11 as well, returning back to table 10 from the
12 documents from Pfizer, they show that 31 patients
13 in the Mylotarg arm went on to receive a
14 transplant, or 24 percent versus 53 in the chemo
15 alone arm, or I believe that was 40 percent. So if
16 you can keep a patient from having to go to
17 transplant, I think that's a huge win because
18 again, transplant, it's 3 months at the hospital,
19 6 months to a year of recovery, 20 to 30 percent
20 treatment-related mortality.

21 So if you can keep patients from having to
22 get a transplant, even if their overall survival is

1 the same because if you get cured from your
2 transplant, I'd much rather be cured just from
3 chemo alone or chemo plus GO than to have to go
4 through a transplant to get that cure.

5 Again, I think the more tricky
6 situation -- and not to harp on this, but it's come
7 up -- is the patients with poor-risk cytogenetics
8 or the patients who you're going to take to
9 transplant anyway. Are you really getting an
10 advantage there by giving them GO, or are you
11 giving them a 10 percent risk of VOD?

12 DR. ROTH: Were you done, or did you have
13 another -- was somebody else looking for a slide or
14 something?

15 DR. WEBB: We're good. Thank you.

16 DR. ROTH: I'm going to ask now, though.
17 Dr. Morrow?

18 DR. MORROW: Just a little clarification.
19 Dr. Cortes did a really nice job of discussing the
20 strategies to mitigate risk of VOD, and the sponsor
21 also talked about their potential actions to
22 address VOD, including the box warning,

1 identification of the high-risk patients, and
2 dosing recommendations.

3 Can you give a little bit more granularity
4 as to how you will potentially incorporate some of
5 the strategies for mitigation of VOD within the
6 prescribing information incorporating Dr. Cortes'
7 discussion?

8 DR. WEBB: I'll have Dr. Chirnomas share
9 some of the mitigation strategies that are
10 obviously still under discussion and would
11 ultimately be reflected in the final label, but our
12 current proposal, certainly, there are individual
13 institutions which will also have their own
14 practices beyond that.

15 Dr. Chirnomas?

16 DR. CHIRNOMAS: Thank you. Debbie
17 Chirnomas, Pfizer oncology. If I can have slide
18 MA-64, please. So this is what we had been
19 referring to earlier. As Dr. Webb said, we're in
20 close conversations and will be in further
21 conversations with the FDA to get this right. But
22 in conversation with our advisors and clinicians

1 that are using it, we would be talking about lab
2 parameters; of course, identifying the risk factors
3 of severe or moderate hepatic disease. Let's see.

4 In terms of one of the key things that has
5 come up, is timing from transplant, that's
6 something we don't have a lot of data on except to
7 say that further away is likely a little bit
8 better. But we really are trying to get
9 granularity on that, working with the CIBMTR.

10 So those are the types of information we'd
11 like to provide. In addition, we have guidelines
12 on if the LFTs are elevated, to wait until they
13 come down, et cetera, and more detail about that.

14 I just wanted to also show slide SA-232,
15 please. This is going a little bit backwards, but
16 I know that there's a lot of concern about the
17 different risks cytogenetic groups -- no, I'm
18 sorry. That was not -- I wanted to show you the
19 forest plot that we have that shows that the
20 relapse-free survival and the event-free survival
21 of the adverse cytogenetic population really does
22 benefit and that it's the overall survival that is

1 neutral. But again, as we've discussed, if you
2 have a patient and you're waiting on your
3 cytogenetics and you want to treat them, you really
4 want to give them the best shot of a benefit.

5 The adverse cytogenetics, EF-232, please, I
6 just want to remind everyone that you're not
7 disadvantaging, putting them at any disadvantage,
8 to treat before you can get those results back for
9 them.

10 DR. ROTH: Can I ask one final question?
11 Looking at the PK/PD data, do we need doses on
12 days 4 and 7? There's at least some data that you
13 quote looking at single doses of 3 versus 6. So I
14 just wonder as you're launching into discussions
15 about dosing and schedule whether that had come up.

16 DR. WEBB: Certainly, this is an area we've
17 looked at very carefully.

18 Dr. Knight, if you could come up and address
19 the question.

20 DR. KNIGHT: Beverly Knight, clinical
21 pharmacology, Pfizer. So we did do exposure-
22 response modeling to look at the relationship

1 between exposure and the response, and what we
2 found is that one dose of Mylotarg alone is not
3 very effective.

4 The FDA presentation detailed the fact that
5 there is dose non-proportional exposure. What that
6 means is when you go from a dose of 9 down to a
7 dose of 3, the exposure is going to decrease more
8 than you think, and that's due to target-mediated
9 clearance, and this also causes the first dose of
10 Mylotarg to be cleared much faster than later
11 doses.

12 When you give a single dose of 3, you're
13 really only getting about 3 percent of the exposure
14 that you saw with your original 9 mgs per meter
15 squared regimen.

16 If you could show slide PK-7, please. So
17 here you can see the relationship between the
18 Mylotarg AUC and the probability of CR, and you can
19 see in the top two plots, this is mostly with
20 monotherapy dosing, so it gives you an idea of the
21 efficacy of Mylotarg alone.

22 If you give only one dose of Mylotarg, the

1 efficacy that you can achieve at maximum is quite
2 low. However, in the second dose when you're
3 getting those re-expressed targets, you're really
4 able to get to a higher level of efficacy. So you
5 really are trying to strike a balance between
6 having a low Cmax to reduce the safety effects and
7 having enough exposure for efficacy, and we think
8 the fractionated dose regimen really strikes that
9 balance.

10 DR. ROTH: Thank you. Any other --

11 DR. WEBB: Maybe just to add something.

12 DR. ROTH: Go ahead. Sorry.

13 DR. WEBB: I appreciate that. If you look
14 at the dosing then, we're looking at the original
15 SWOG study, which we know there were major toxicity
16 problems with a single dose of 6, even though the
17 prospective plan was to reduce the dose of
18 daunorubicin in the Mylotarg arm to try and
19 increase safety, it still didn't work out very
20 well. But with the ALFA study, you're able to give
21 those three doses of 3 mgs per meter squared with
22 standard full dose intensive chemotherapy,

1 including full dose daunorubicin with what we
2 assess is an acceptable safety and efficacy
3 profile.

4 DR. ROTH: Thank you. Any other clarifying
5 questions?

6 (No response.)

7 DR. ROTH: I think we'll take a break. It's
8 currently 2:45. Let's resume the open public
9 hearing portion of the meeting at 3:00.

10 (Whereupon, at 2:47 p.m., a recess was
11 taken.)

12 **Open Public Hearing**

13 DR. ROTH: If we could come back to our
14 seats and get started with the open public hearing.

15 Both the Food and Drug Administration and
16 the public believe in a transparent process for
17 information-gathering and decision-making. To
18 ensure such transparency at the open public hearing
19 session of the advisory committee meeting, FDA
20 believes that it's important to understand the
21 context of an individual's presentation.

22 For this reason, FDA encourages you, the

1 open public hearing speaker, at the beginning of
2 your written or oral statement to advise the
3 committee of any financial relationship that you
4 may have with any industry group, its products, and
5 if known, its direct competitors.

6 For example, this financial information may
7 include industry's payment of your travel, lodging,
8 or other expenses in connection with your
9 attendance at the meeting. Likewise, FDA
10 encourages you at the beginning of your statement
11 to advise the committee if you do not have any such
12 financial relationships.

13 If you choose not to address the issue of
14 financial relationships at the beginning of your
15 statement, it will not preclude you from speaking.

16 The FDA and this committee places great
17 importance in the open public hearing process. The
18 insights and comments provided can help the agency
19 and this committee in their consideration of the
20 issues before them.

21 That said, in many instances and for many
22 topics, there will be a variety of opinions. One

1 of our goals today is for this open public hearing
2 to be conducted in a fair and open way where every
3 participant is listened to carefully, treated with
4 dignity, courtesy, and respect. Therefore, please
5 speak only when recognized by the chairperson.
6 Thank you for your cooperation.

7 Will speaker number 1 step up to the podium
8 and introduce yourself? Please state your name and
9 any organization you are representing for the
10 record.

11 MS. SANTIAGO: Good afternoon. My name is
12 Kristen Santiago, and I am with the Cancer Support
13 Community. The Cancer Support Community does
14 receive funding from Pfizer, however, we did not
15 receive any funding nor compensation to be here
16 today. Throughout my remarks, I will refer to the
17 Cancer Support Community as CSC.

18 CSC serves patients through a network of 150
19 affiliate sites and satellite locations as well as
20 a cancer support help line where patients and their
21 families receive evidence-based programming,
22 social, and emotional support. We provide free

1 programs, which include professionally-led support
2 groups, educational seminars, nutritional
3 workshops, exercise, and mind-body programs.

4 Our mission is to help people living with
5 cancer regain the sense of control over their
6 lives, feel less isolated, and restore their sense
7 of hope for the future regardless of their stage of
8 disease.

9 In 2016, nearly 100,000 individuals,
10 including patients and caregivers affected by AML,
11 visited our affiliates. Of those 100,000
12 individuals, they made more than 900,000 visits.
13 CSC is also home to the only research and training
14 institute whose work is focused on understanding
15 and elevating the patient and caregiver voice about
16 the cancer experience.

17 My comments today reflect what we have
18 learned from our cancer experience registry through
19 the research and training institute as well as what
20 we see in our locations around the country each
21 day.

22 CSC serves people with all types of cancer,

1 and we are seeing that there is a high unmet need
2 for individuals living with AML. AML is a
3 difficult disease for patients to address with very
4 few effective treatments and ones that come with
5 many side effects. Patients are frequently given
6 few treatment options with little hope of achieving
7 any meaningful benefit nor long-term survival.

8 The physical discomfort and pain combined
9 with the psychological stress of living with a
10 disease with limited treatment options is
11 debilitating. Given the growing patient
12 population, severity of disease, and limited
13 treatment options, which have all been discussed
14 today, additional novel treatment options are
15 needed.

16 The ultimate treatment decision should be
17 made between the patient and the healthcare team
18 following a thorough review, which includes the
19 examination of risk-benefit profile as it relates
20 to the patient's particular needs.

21 CSC encourages the sponsor to continue to
22 monitor patients taking Mylotarg in a postmarketing

1 study to continue to build a body of data on the
2 patient experience. Because we know that the
3 patient experience is broader than just the
4 physical assessments of disease symptoms, treatment
5 side effects, and physical functioning, CSC
6 encourages the sponsor to collect additional
7 patient experience data to better understand what
8 is truly meaningful to patients.

9 This patient experience data should include
10 such information and patient concerns as they
11 relate to disruption of work and family life due to
12 treatment regimen, concerns related to nutrition,
13 financial impact, et cetera, to provide meaningful
14 feedback from patients in real-time about issues
15 that may not be identified through the current
16 measures.

17 At CSC, we have learned a great deal from
18 those we support, and we believe in the importance
19 and value of an educated and empowered patient.
20 Since people with cancer also feel stigmatized,
21 alone, and overwhelmed with grief, they feel
22 stronger and more hopeful when they have more

1 control of the best decisions for them. Access to
2 a full portfolio of treatment options as well as
3 supportive care solutions helps to arm them for
4 making the best decisions for their personal
5 situation.

6 Today we ask that you carefully consider the
7 challenges of those facing AML and the need for a
8 wider array of treatment options. We urge you to
9 look at a broad range of treatment options that
10 will encourage patients to be informed, empowered,
11 and optimistic about their treatment. Thank you.

12 DR. ROTH: Thank you. Will speaker number 2
13 please step up to the podium and introduce
14 yourself? Please state your name and any
15 organization you are representing for the record.

16 MR. MITCHELL: Good afternoon. My name is
17 Jack Mitchell, and I am director of health policy
18 for the National Center for Health Research. I
19 thank you for the opportunity today to speak before
20 such a distinguished audience.

21 The National Center for Health Research is a
22 research center which analyzes policy and

1 scientific data to provide objective health
2 information to patients, providers, and
3 policymakers. We do not accept funding from
4 pharmaceutical or medical device companies, so I
5 have no conflicts of interest to report to the
6 panel.

7 I'm not a scientist or clinician, but
8 previously, I worked in a senior position at the
9 FDA Office of the Commissioner, and we have a
10 number of science and public health PhDs on our
11 staff. I'm presenting our staff's and
12 organization's view on behalf of the many patients
13 and consumers for whom we advocate and represent.

14 While we strongly support the need for
15 better treatments for AML and its many patients,
16 we're concerned about the data used to support the
17 application for GO. First of all, the only pivotal
18 trial was open label, which increases the risk for
19 bias.

20 The purpose of blinding in a clinical trial
21 is to control for the placebo effect since the
22 knowledge that one is taking the newest

1 experimental drug tends to encourage patients and
2 clinicians to have a greater belief in a perceived
3 effectiveness.

4 Second, all lower-grade safety events and
5 some important severe safety events were collected
6 retrospectively, which increases the risks for
7 inaccuracies, and which, as I believe FDA has
8 already noted, has somewhat limited the analysis of
9 the safety profile.

10 Third, the trial took place with only French
11 patients. This is of note because there are
12 numerous examples of medical products that do not
13 work as well on American patients as they do in
14 patients in other countries.

15 These issues would raise concerns even if
16 the data supporting approval was strong, which we
17 believe is not the case. Instead, it is not clear
18 to our reviewers that the data support the safety
19 or efficacy of GO.

20 The application is based on a single pivotal
21 trial along with a review of the literature. The
22 pivotal trial does not provide evidence for overall

1 survival, and the previous clinical trial included
2 in the literature review found an inconsistent
3 effect of GO on overall survival.

4 It is important to remember that this drug
5 was approved and later removed from the market in
6 part because postmarketing studies did not
7 demonstrate effectiveness.

8 The pivotal trial and literature review do
9 demonstrate improvement in event-free survival.
10 The trial also shows an improvement in relapse-free
11 survival. However, the important metric is overall
12 survival, which we believe is not clearly
13 demonstrated.

14 FDA reviewers showed that event-free
15 survival does not correlate well with overall
16 survival. This especially is a problem in an
17 open-label study where a placebo effect cannot be
18 controlled.

19 Our research center recently published an
20 article in the AMA journal, revealing that many
21 cancer drugs have been approved based on surrogate
22 endpoints, but later studies have found that these

1 drugs did not improve overall survival or quality
2 of life. We found that patients and their insurers
3 were spending \$100,000 or more and suffering
4 serious adverse events for treatments that often
5 had no measurable benefits for their health or
6 continuing survival.

7 This change in dosing does appear to reduce
8 adverse events compared to the earlier version of
9 the medication. Nevertheless, there were still
10 serious adverse events, as we've heard today, that
11 can result in death. The drug was associated with
12 increased bleeding events, including fatal
13 hemorrhages and liver disorders, including fatal
14 cases of VOD.

15 There were no hemorrhage or VOD events that
16 occurred without exposure to the drug. However, we
17 acknowledge the sponsor's continuing efforts to
18 address the VOD risk profile, although as I note,
19 it's a little bit disconcerting that a box warning
20 may be necessary.

21 It's noteworthy that these results were in a
22 clinical trial where patients are carefully

1 monitored. Patients in the real world are
2 typically monitored less carefully than patients in
3 clinical trials. As a result, it is possible that
4 more patients can continue on a drug causing
5 serious adverse events because they hope the drug
6 will improve their condition.

7 Well-intentioned doctors who are unaware of
8 the history of this drug may also decide to
9 increase the dose on patients who are not
10 improving, putting patients at greater risk for
11 adverse events without improving their chance for
12 survival.

13 In summary, surrogate endpoints such as
14 event-free survival often do not predict overall
15 survival or other measures of improved health and
16 quality of life. Given the research design, one
17 pivotal study, the lack of U.S. patients, and a
18 literature review, we believe that the data to date
19 does not sufficiently support approval.

20 We believe that the evidence does not
21 indicate that the benefits outweigh the risks,
22 which is what the most important consideration that

1 you're taking under consideration today. I thank
2 you for providing this opportunity for us to
3 express our views, and good luck with your
4 deliberations.

5 **Questions to the Committee and Discussion**

6 DR. ROTH: Thank you.

7 The open public hearing portion of this
8 meeting has now concluded, and we will no longer
9 take comments from the audience. The committee
10 will now turn its attention to address the task at
11 hand, the careful consideration of the data before
12 the committee, as well as the public comments.

13 We'll now proceed with the question to the
14 committee and subsequent discussion. I'd like to
15 remind public observers that while this meeting is
16 open for public observation, public attendees may
17 not participate except at the specific request of
18 the panel.

19 If we could see the question, please. Do
20 the results of ALFA-0701 demonstrate a favorable
21 risk-benefit ratio for gemtuzumab ozogamicin
22 3 milligrams per meter squared days 1, 4, and 7,

1 added to daunorubicin and AraC, for patients with
2 newly diagnosed CD33-positive AML? Then we'll ask
3 for people after the vote to please explain their
4 reasons for the vote.

5 We'll now open up to see if there's any
6 clarification necessary to question the question.
7 Dr. Sung?

8 DR. SUNG: One question I had is, is this an
9 indication for all patients, or could we vote
10 approval for some indications and not other --

11 DR. PAZDUR: Let me address that. Many of
12 the issues that you were bringing up as far as
13 subgroups of patients, those are issues that we
14 will handle in labeling negotiations with the
15 sponsor. So we generally do not change the
16 questions because we could get into this morass of
17 everybody wanting their own question to vote on.

18 These areas that you have brought up, we
19 will discuss with the sponsor. I do want to
20 emphasize these are subset analyses that were not
21 prespecified and, hence, any decisions, dogmatic
22 decisions based on these unspecified subgroups have

1 to be viewed as exploratory in nature.

2 But we do not change the question, number
3 one, and number two, many of these issues of age,
4 whatever, are usually handled in labeling
5 negotiations with the sponsor.

6 DR. ROTH: Thank you, Dr. Pazdur. Any
7 other -- Dr. Harrington?

8 DR. HARRINGTON: Rick, notwithstanding the
9 warning that we don't change the question, the word
10 "favorable" strikes me as somewhat odd there.
11 Manageable, perhaps. Favorable to what?

12 What did the agency have in mind when they
13 chose that word?

14 DR. PAZDUR: Favorable to the control arm
15 generally, that's what we mean. This is a standard
16 question that if you come to many of the ODACs is
17 our standard question that we ask on almost every
18 application that we bring forward.

19 DR. ROTH: Any other questions?

20 (No response.)

21 DR. ROTH: If there's no further discussion
22 of this question, we will now begin the voting

1 process. Please press the button on your
2 microphone that corresponds to your vote. You'll
3 have approximately 20 seconds to vote. Please
4 press the button firmly. After you've made your
5 selection, the light may continue to flash. If you
6 are unsure of your vote or you wish to change your
7 vote, please press the corresponding button again
8 before the vote is closed.

9 (Voting.)

10 LCDR SHEPHERD: For the record, the vote is
11 6 yes, 1 no, zero abstain, zero no voting.

12 DR. ROTH: Everyone has voted. The vote is
13 now complete. Now that the vote is complete, we'll
14 go around the table and have everyone who voted
15 state their name, vote, and if you want to, you can
16 state the reason why you voted as you did into the
17 record. We'll start from this side. Dr. Taylor?

18 DR. TAYLOR: Yes. Wayne Taylor, patient
19 representative. I voted yes because I do believe
20 that the evidence supports that event-free survival
21 in this disease, AML, which is very heterogeneous,
22 has not -- in this disease, AML, event-free

1 survival along with relapse-free survival
2 is -- they have proven that the benefit outweighs
3 the risk. That's what I think.

4 DR. ROTH: Dr. Sung?

5 DR. SUNG: I believe that in the patient
6 population with favorable and intermediate-risk
7 cytogenetics, this drug is favorable, and if the
8 question was limited to that patient population, I
9 would have voted yes in answer to that question.

10 However, I believe that in the patient
11 population with poor-risk cytogenetics or who
12 otherwise is heading to transplant, I believe, as
13 per this discussion, there is an increased risk of
14 treatment-related toxicities such as VOD,
15 hemorrhage without significant benefit to
16 compensate for those toxicities.

17 I do believe, again, in the favorable and
18 intermediate-risk groups that although those
19 toxicities exist, they are outweighed by the
20 benefits.

21 DR. ROTH: Dr. Chen?

22 DR. CHEN: I actually share many of the same

1 concerns as Dr. Sung, but I voted yes. I do
2 believe that event-free survival is a reasonable
3 endpoint in AML.

4 The second issue is in terms of safety. I
5 agree with Dr. Cortes, as stated that there is
6 a -- to me, my read of it was that there was an
7 increase, slight, in treatment-related mortality in
8 the GO arm, but it did seem to be relatively
9 manageable at under 5 percent.

10 In terms of the risk-benefit in efficacy, I
11 concur with Dr. Sung, but acknowledge that the
12 cytogenetic issue was not directly addressed
13 a priori, although it was specified in the AML 15
14 study. But I think we'll have to -- I think the
15 benefit, and particularly the favorable-risk
16 cytogenetics and intermediate-risk, is quite
17 substantial, and there did not seem to be a
18 significant increased risk of treatment-related
19 mortality in the poor-risk patients that may not
20 benefit from the disease [sic].

21 I would vote yes and err on the side of the
22 treating physician to make that determination on

1 whether or not the individual patient under their
2 care would benefit.

3 DR. ROTH: Dr. Harrington?

4 DR. HARRINGTON: I voted yes because I'm
5 convinced that event-free survival is a meaningful
6 clinical endpoint even if it's not predicting or
7 highly correlated with survival. I was struck by
8 the fact that while it's a regimen that certainly
9 has some risk, the risk seems to be now in the
10 ballpark of other treatments for AML. So it
11 doesn't seem to be substantially more dangerous
12 than others that are being used.

13 DR. ROTH: Dr. Cole?

14 DR. COLE: Bernard Cole. I voted yes. The
15 benefit of GO in terms of event-free survival is
16 robust, highly significant, and was demonstrated in
17 a high quality randomized study. Certainly, the
18 elevated risk of adverse events, including VOD and
19 early mortality, with GO is a concern, and we lack
20 clear overall survival benefit in the pivotal
21 trial. However, there are advantages to delaying
22 relapse in patients who achieve remission.

1 As a result, the decision from a practical
2 perspective to use GO might be based on
3 considerations of patients' quality of life;
4 namely, whether delayed relapse confers a quality
5 of life benefit in light of the increased toxicity
6 with GO. And I would urge the sponsor to address
7 this issue with additional study and analyses.

8 DR. ROTH: This is Bruce Roth. I voted yes.
9 First, I think the applicant has sufficiently
10 decreased the toxicities that got the drug pulled
11 in the first place with this fractionated schedule.
12 It's certainly much more tolerable, not non-toxic,
13 but more tolerable than certainly it was before.
14 And I've been convinced by my leukemia colleagues
15 that EFS has some importance unto itself without a
16 relationship to overall survival.

17 I think one has to only look at that one
18 graph, where people that did not achieve CR lived
19 either a few months or more than five years, to
20 know that that relationship is never going to be a
21 good correlation. Nevertheless, I thought that
22 individuals who delayed their time to the next

1 event benefitted from this drug.

2 Dr. Nowakowski?

3 DR. NOWAKOWSKI: Greg Nowakowski. I voted
4 yes for the reasons which were already mentioned.
5 I believe the fractionated dosing of GO has
6 improved the safety profile as demonstrated in the
7 presentations. More importantly, I believe that
8 EFS is a valid clinical endpoint in acute leukemia,
9 and failure of achieving CR is detrimental to the
10 patients. Therefore, EFS captures it
11 appropriately, and we have seen the benefit in EFS
12 in the study in this regard.

13 DR. ROTH: Before we adjourn, are there any
14 last comments from the agency or Dr. Przepiorka or
15 Dr. Pazdur?

16 DR. PAZDUR: No.

17 **Adjournment**

18 DR. ROTH: Panel members, please take all of
19 your belongings with you as the room is cleaned at
20 the end of the meeting day. All materials left on
21 the table will be disposed of. Please also
22 remember to drop off your name badge at the

1 registration table on your way out so that they may
2 be recycled.

3 We'll now adjourn the meeting. Thank you
4 for your support.

5 (Whereupon, at 3:27 p.m., the meeting was
6 adjourned.)

7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22