

1 FOOD AND DRUG ADMINISTRATION  
2 CENTER FOR DRUG EVALUATION AND RESEARCH  
3

4  
5 JOINT MEETING OF THE ANESTHETIC AND  
6 ANALGESIC DRUG PRODUCTS AND DRUG SAFETY AND  
7 RISK MANAGEMENT ADVISORY COMMITTEES  
8

9 Open Session

10  
11 Wednesday, July 26, 2017

12 9:15 a.m. to 4:43 p.m.  
13

14  
15  
16  
17 FDA White Oak Campus

18 The Great Room

19 10903 New Hampshire Avenue

20 Silver Spring, Maryland  
21  
22

1 **Meeting Roster**

2 **ACTING DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Moon Hee V. Choi, PharmD**

4 Division of Advisory Committee and

5 Consultant Management

6 Office of Executive Programs, CDER, FDA

7

8 **ANESTHETIC AND ANALGESIC DRUG PRODUCTS ADVISORY**

9 **COMMITTEE MEMBERS (Voting)**

10 **Raeferd E. Brown, Jr., MD, FAAP**

11 *(Chairperson)*

12 Professor of Anesthesiology and Pediatrics

13 College of Medicine

14 University of Kentucky

15 Lexington, Kentucky

16

17 **David S. Craig, PharmD**

18 Clinical Pharmacy Specialist

19 Department of Pharmacy

20 H. Lee Moffitt Cancer Center & Research Institute

21 Tampa, Florida

22

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Jeffrey Galinkin, MD**

Professor of Anesthesiology and Pediatrics  
Co-Chairman, Colorado Multiple Institutional  
Review Board  
University of Colorado, AMC  
Aurora, Colorado

**Jennifer G. Higgins, PhD**

*(Consumer Representative)*  
Research and Policy Manager  
Association of Developmental Disabilities  
Providers (ADDP)  
Framingham, Massachusetts

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Ronald S. Litman, DO**

Professor of Anesthesiology & Pediatrics  
Perelman School of Medicine  
University of Pennsylvania  
Attending Anesthesiologist  
The Children's Hospital of Philadelphia  
Medical Director, Institute for Safe Medication  
Practices  
Philadelphia, Pennsylvania

**Mary Ellen McCann, MD, MPH**

Associate Professor of Anesthesia  
Harvard Medical School  
Senior Associate in Anesthesia  
Boston Children's Hospital  
Boston, Massachusetts

1     **Abigail B. Shoben, PhD**

2     Associate Professor

3     Division of Biostatistics

4     College of Public Health

5     The Ohio State University

6     Columbus, Ohio

7

8     **Kevin L. Zacharoff, MD, FACIP, FACPE, FAAP**

9     Faculty and Clinical Instructor, Pain and

10    Medical Ethics

11    State University of New York Stony Brook

12    School of Medicine

13    Stony Brook, New York

14    Ethics Committee Chair

15    St. Catherine of Siena Medical Center

16    Smithtown, New York

17

18

19

20

21

22

1     **Lonnie Zeltzer, MD**

2     Director, Pediatric Pain and Palliative Care

3     Program

4     Division of Pediatric Hematology-Oncology

5     Distinguished Professor of Pediatrics,

6     Anesthesiology, Psychiatry and

7     Biobehavioral Sciences

8     David Geffen School of Medicine at UCLA

9     Los Angeles, California

10

11     **ANESTHETIC AND ANALGESIC DRUG PRODUCTS ADVISORY**

12     **COMMITTEE MEMBER (Non-Voting)**

13     **W. Joseph Herring, MD, PhD**

14     *(Industry Representative)*

15     Executive Director and Section Head

16     Neurology Clinical Neurosciences

17     Merck Research Laboratories

18     North Wales, Pennsylvania

19

20

21

22

1       **DRUG SAFETY AND RISK MANAGEMENT ADVISORY COMMITTEE**

2       **MEMBERS (Voting)**

3       **Laurel A. Habel, MPH, PhD**

4       Associate Director, Cancer Research

5       Kaiser Permanente Northern California

6       Division of Research

7       Oakland, California

8

9       **Steven B. Meisel, PharmD**

10      System Director of Patient Safety

11      Fairview Health Services

12      Minneapolis, Minnesota

13

14      **Suzanne B. Robotti**

15      *(Consumer Representative)*

16      Executive Director

17      DES Action USA

18      Founder and President

19      MedShadow Foundation

20      New York, New York

21

22

1     **Christopher H. Schmid, PhD**

2     Professor of Biostatistics

3     Center for Evidence Based Medicine

4     Department of Biostatistics

5     Brown University School of Public Health

6     Providence, Rhode Island

7

8     **Soko Setoguchi, MD, DrPh**

9     Associate Researcher and Adjunct Associate

10    Professor of Epidemiology

11    Institute for Health, Health Care Policy, and Aging

12    Research

13    Rutgers University

14    New Brunswick, New Jersey

15

16

17

18

19

20

21

22



1     **Terri L. Warholak, PhD, RPh, FAPhA**

2     Assistant Professor

3     Division of Health Promotion Sciences

4     College of Public Health

5     Adjunct Clinical Instructor

6     College of Nursing

7     Associate Professor with Tenure

8     Department of Pharmacy Practice and Science

9     College of Pharmacy

10    University of Arizona

11    Tucson, Arizona

12  
13    **TEMPORARY MEMBERS (Voting)**

14    **Cynthia Arfken, PhD**

15    Professor

16    Department of Psychiatry and Behavioral

17    Neurosciences

18    Wayne State University School of Medicine

19    Detroit, Michigan

20

21

22

1     **Melinda Campopiano, MD**

2     Senior Medical Advisory

3     Center for Substance Abuse Treatment

4     Substance Abuse and Mental Health Services

5     Administration

6     Rockville, Maryland

7

8     **Tobias Gerhard, BSPHarm, PhD, FISPE**

9     Associate Professor

10    Department of Pharmacy Practice and

11    Administration, Ernest Mario School of Pharmacy

12    Institute for Health, Health Care Policy, and Aging

13    Research

14    Rutgers University

15    New Brunswick, New Jersey

16

17    **Elizabeth A. Joniak-Grant, PhD**

18    *(Patient Representative)*

19    Cary, North Carolina

20

21

22

1     **Richard Kline, PhD**

2     Medicinal Chemist

3     Chemistry and Pharmaceuticals Branch

4     Division of Therapeutics and Medical

5     Consequences

6     National Institute of Drug Abuse

7     National Institutes of Health

8     Bethesda, Maryland

9  
10    **John Mendelson, MD**

11    Senior Scientist, Research Institute

12    Founder and Director, Addiction and Pharmacology

13    Research Lab

14    California Pacific Medical Center

15    San Francisco, California

16

17

18

19

20

21

22

1     **Lewis S. Nelson, MD**

2     Professor of Emergency Medicine

3     Chair, Department of Emergency Medicine

4     Chief of Service, Emergency Department

5     University Hospital

6     Rutgers University

7     Newark, New Jersey

8

9     **Scott Novak, PhD, MSc**

10    Senior Developmental Epidemiologist

11    RTI International

12    Research Triangle Park, North Carolina

13

14    **FDA PARTICIPANTS (Non-Voting)**

15    **Sharon Hertz, MD**

16    Director

17    Division of Anesthesia, Analgesia and Addiction

18    Products (DAAAP)

19    Office of Drug Evaluation II (ODE-II)

20    Office of New Drugs (OND), CDER, FDA

21

22

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Ellen Fields, MD, MPH**

Deputy Director  
DAAAP, ODE-II, OND, CDER, FDA

**Judy Staffa, PhD, RPh**

Associate Director for Public Health Initiatives  
Office of Surveillance and Epidemiology  
CDER, FDA

**James Tolliver, PhD**

Pharmacologist  
Controlled Substance Staff  
Office of the Center Director, CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Raeford Brown, Jr., MD, FAAP	17
5	Conflict of Interest Statement	
6	Moon Hee Choi, PharmD	23
7	FDA Introductory Remarks	
8	Sharon Hertz, MD	28
9	<b>Applicant Presentations - Intellipharma</b>	
10	Introduction	
11	Isa Odidi, PhD	38
12	Need for Abuse-Deterrent Opioid Analgesics	
13	Richard Dart, MD, PhD	47
14	Clinical Pharmacology	
15	Beatrice Setnik, PhD	52
16	Category 1 Abuse-Deterrent Studies	
17	Edward Cone, PhD	56
18	Public Health Perspective	
19	Edward Sellers, MD, PhD, FRCPC, FACP	68
20	Clarifying Questions	74
21		
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	<b>FDA Presentations</b>	
4	Need for Human Abuse Potential Studies for	
5	Evaluation of NDA 209-653	
6	James Tolliver, PhD	93
7	Utilization Trends of Oxycodone ER and	
8	Other Extended-Release/Long-Acting	
9	Opioid Analgesics, 2012-2016	
10	Jennie Wong, PharmD	100
11	Excipients in Oral Opioid Analgesics and	
12	IV Abuse - A Regulatory Perspective	
13	Ellen Fields, MD, MPH	107
14	Clarifying Questions	117
15	<b>Guest Speaker Presentation</b>	
16	Excipient Harms and Tampering of	
17	Opioid Analgesics	
18	Nabarun Dasgupta, MPH, PhD	127
19	Clarifying Questions (continued)	151
20		
21		
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	Open Public Hearing	186
4	Clarifying Questions (continued)	230
5	Charge to the Committee	
6	Sharon Hertz, MD	247
7	Questions to the Committee and Discussion	248
8	Adjournment	320
9		
10		
11		
12		
13		
14		
15		
16		
17		
18		
19		
20		
21		
22		



P R O C E E D I N G S

(9:15 a.m.)

**Call to Order**

**Introduction of Committee**

1 DR. BROWN: Good morning again. I would  
2 first like to remind everyone to please silence  
3 your cell phones, smartphones, and any other  
4 devices if you have not already done so. I would  
5 also like to identify the FDA press contact, who is  
6 Lauren Smith Dyer, who is in the back, and she's  
7 standing up.

8 My name is Raeford Brown. I'm the  
9 chairperson of the Anesthetic and Analgesic Drug  
10 Products Advisory Committee, and I will be chairing  
11 this meeting. I will now call the joint meeting of  
12 the Anesthetic and Analgesic Drug Products Advisory  
13 Committee and the Drug Safety and Risk Management  
14 Advisory Committee to order.

15 We'll start by going around the table to  
16 introduce ourselves, and we'll start with the FDA  
17 to my left and go around the table.

18 DR. HERTZ: Sharon Hertz, director for the

1 Division of Anesthesia, Analgesia, and Addiction  
2 Products.

3 DR. FIELDS: Ellen Fields, deputy director  
4 of the same division.

5 DR. STAFFA: Judy Staffa, associate director  
6 for public health initiatives, Office of  
7 Surveillance and Epidemiology, Center for Drugs.

8 DR. TOLLIVER: James Tolliver,  
9 pharmacologist for the controlled substance staff.

10 DR. SCHMID: Chris Schmid, professor  
11 biostatistics, Brown University.

12 DR. SHO BEN: Abby Shoben, associate  
13 professor of biostatistics, Ohio State University.

14 DR. ARFKEN: Cynthia Arfken, professor of  
15 psychiatry, Wayne State University.

16 DR. NOVAK: Scott Novak, director of  
17 psychiatric epidemiology and drug safety at  
18 Battelle Memorial Institute.

19 DR. SETOGUCHI: Soko Setoguchi, general  
20 internist and pharmacoepidemiologist at Rutgers  
21 University.

22 DR. GERHARD: Tobias Gerhard,

1 pharmacoepidemiologist at Rutgers University.

2 DR. HABEL: Laurel Habel, epidemiologist and  
3 associate director for cancer research at Kaiser  
4 Permanente.

5 DR. WARHOLAK: Terri Warholak, professor of  
6 quality and safety at University of Arizona.

7 DR. MEISEL: Steve Meisel, director of  
8 patient safety, Fairview Health Service in  
9 Minneapolis.

10 DR. CHOI: Moon Hee Choi, acting designated  
11 federal officer.

12 DR. BROWN: Rae Brown. I am professor of  
13 anesthesiology and pediatrics at the University of  
14 Kentucky.

15 DR. LITMAN: Ron Litman. I am pediatric  
16 anesthesiologist at the Children's Hospital of  
17 Philadelphia and the medical director of the  
18 Institute for Safe Medication Practice.

19 DR. ZACHAROFF: Kevin Zacharoff,  
20 anesthesiology and pain medicine, faculty and  
21 clinical instructor at the Stony Brook School of  
22 Medicine.

1 DR. ZELTZER: Lonnie Zeltzer, distinguished  
2 professor of pediatrics, anesthesiology, and  
3 psychiatry, UCLA School of Medicine and director of  
4 the Pediatric Pain and Palliative Care program.

5 DR. GALINKIN: Jeff Galinkin, professor of  
6 anesthesiology and pediatrics at University of  
7 Colorado and medical safety officer at CPC Clinical  
8 Research.

9 DR. McCANN: Mary Ellen McCann, pediatric  
10 anesthesiologist at Boston Children's Hospital and  
11 Harvard Medical School.

12 DR. CRAIG: David Craig. I'm a clinical  
13 pharmacist specialist at Moffitt Cancer Center,  
14 Tampa, Florida.

15 DR. HIGGINS: Jennifer Higgins, AADPAC  
16 consumer representative.

17 DR. JONIAK-GRANT: Elizabeth Joniak-Grant,  
18 patient representative and sociologist, National  
19 Coalition of Independent Scholars.

20 MS. ROBOTTI: Susanne Robotti, consumer  
21 representative and founder of MedShadow, and  
22 executive director of DES Action.

1 DR. CAMPOPIANO: Melinda Campopiano, senior  
2 medical advisor, Center for Substance Abuse  
3 Treatment at the Substance Abuse Mental Health  
4 Services Administration.

5 DR. KLINE: Rick Kline, drug design chemist  
6 and program lead for the National Institute on Drug  
7 Abuse drug supply program.

8 DR. NELSON: Lewis Nelson. I'm an emergency  
9 physician and medical toxicologist from Rutgers,  
10 New Jersey Medical School in Newark.

11 DR. MENDELSON: John Mendelson. I'm an  
12 internist in San Francisco, professor at the  
13 University of California San Francisco, a senior  
14 research scientist at Friends Research Institute,  
15 and founder of DXRX.

16 DR. HERRING: Hi. I'm Joe Herring, a  
17 neurologist and executive director of clinical  
18 neuroscience at Merck and the industry  
19 representative to the AADPAC.

20 DR. BROWN: Good morning and thanks for  
21 coming.

22 For topics such as those being discussed at

1 today's meeting, there are often a variety of  
2 opinions, some of which are quite strongly held.  
3 Our goal is that today's meeting will be a fair and  
4 open forum for discussion of these issues and that  
5 individuals can express their views without  
6 interruption. Thus, individuals will be allowed to  
7 speak into the record only if recognized by the  
8 chair. We look forward to a productive meeting.

9 In the spirit of the Federal Advisory  
10 Committee Act and the Government in the Sunshine  
11 Act, we ask that the advisory committee members  
12 take care that their conversations about the topics  
13 at hand take place in the open forum of the  
14 meeting.

15 We are aware that members of the media are  
16 anxious to speak with the FDA about these  
17 proceedings. However, FDA will refrain from  
18 discussing the details about this meeting with the  
19 media until its conclusion. Also, the committee is  
20 reminded to please refrain from discussing the  
21 meeting topic during breaks or lunch. Thank you.

22 Now, I'll pass it to Moon Hee Choi, who will

1 read the conflict of interest statement.

2 **Conflict of Interest Statement**

3 DR. CHOI: The Food and Drug Administration  
4 is convening today's joint meeting of the  
5 Anesthetic and Analgesic Drug Products Advisory  
6 Committee and the Drug Safety and Risk Management  
7 Advisory Committee under the authority of the  
8 Federal Advisory Committee Act of 1972.

9 With the exception of the industry  
10 representative, all members and temporary voting  
11 members of the committee are special government  
12 employees or regular federal employees from other  
13 agencies and are subject to federal conflict of  
14 interest laws and regulations.

15 The following information on the status of  
16 these committees' compliance with the federal  
17 ethics and conflict of interest laws, covered by  
18 but not limited to those found at 18 U.S.C. Section  
19 208, is being provided to participants in today's  
20 meeting and to the public.

21 FDA has determined that members and  
22 temporary voting members of these committees are in

1 compliance with federal ethics and conflict of  
2 interest laws. Under 18 U.S.C., Section 208,  
3 Congress has authorized FDA to grant waivers to  
4 special government employees and regular federal  
5 employees who have potential financial conflicts  
6 when it is determined that the agency's need for a  
7 special government employee's services outweighs  
8 his or her potential financial conflict of  
9 interest, or when the interest of a regular federal  
10 employee is not so substantial as to be deemed  
11 likely to affect integrity of the services, which  
12 the government may expect from the employee.

13 Related to the discussion of today's  
14 meeting, members and temporary voting members of  
15 these committees have been screened for potential  
16 financial conflicts of interest of their own, as  
17 well as those imputed to them, including those of  
18 their spouses or minor children, and for purposes  
19 of 18 U.S.C. Section 208, their employers.

20 These interests may include investments,  
21 consulting, expert witness testimony, contracts,  
22 grants, CRADAs, teaching, speaking, writing,



1 patents and royalties, and primary employment.

2 Today's agenda involves discussion of new  
3 drug application, or NDA, 209653 for oxycodone  
4 hydrochloride extended-release oral tablets,  
5 submitted by Intellipharmaeueutics Corporation with  
6 the proposed indication of management of moderate  
7 to severe pain when a continuous around-the-clock  
8 analgesic is needed for an extended period of time.

9 The product has been formulated with  
10 properties intended to deter abuse, and the  
11 applicant has submitted data to support these  
12 abuse-deterrent properties for this product. The  
13 committees will be asked to discuss the overall  
14 risk-benefit profile of the product and whether the  
15 applicant has demonstrated abuse-deterrent  
16 properties for their product that would support  
17 labeling.

18 This is a particular matters meeting, during  
19 which specific matters related to  
20 Intellipharmaeueutics's NDA will be discussed.  
21 Based on the agenda for today's meeting and all  
22 financial interests reported by the committee

1 members and temporary voting members, no conflict  
2 of interest waivers have been issued in connection  
3 with this meeting. To ensure transparency, we  
4 encourage all standing committee members and  
5 temporary voting members to disclose any public  
6 statements that they have made concerning the  
7 product at issue.

8 With respect to FDA's invited industry  
9 representative, we would like to disclose that  
10 Dr. Joseph Herring is participating in this meeting  
11 as a non-voting industry representative, acting on  
12 behalf of regulated industry. Dr. Herring's role  
13 at this meeting is to represent industry in general  
14 and not any particular company. Dr. Herring is  
15 employed by Merck & Company.

16 With regard to FDA's guest speaker, the  
17 agency has determined that the information to be  
18 provided by the speaker is essential. The  
19 following interests are being made public to allow  
20 the audience to objectively evaluate any  
21 presentation and/or comments made by the speaker.

22 Dr. Nabarun Dasgupta has disclosed that he

1 is a part-time employee at the University of North  
2 Carolina at Chapel Hill, where his research during  
3 the past five years has been funded by NIH and CDC.  
4 He is also a member of the scientific advisory  
5 board of the RADARS system and a part-time employee  
6 of the Denver Health and Hospital Authority, or  
7 DHHA, a political subdivision of the state of  
8 Colorado.

9 DHHA independently owns and operates the  
10 RADARS system, which is supported by subscriptions  
11 from pharmaceutical manufacturers for surveillance,  
12 research, and reporting services. Denver Health  
13 retains exclusive ownership of all data, databases,  
14 and systems. Subscribers do not participate in  
15 data collection or analysis, nor do they have  
16 access to the raw data.

17 Neither the preparation of Dr. Dasgupta's  
18 presentation nor his participation in today's  
19 meeting was supported by the RADARS system. As a  
20 guest speaker, Dr. Dasgupta will not participate in  
21 committee deliberations, nor will he vote.

22 We would like to remind members and

1 temporary voting members that if the discussion  
2 involves any other products or firms not already on  
3 the agenda for which an FDA participant has a  
4 personal or imputed financial interest, the  
5 participants need to exclude themselves from such  
6 involvement, and their exclusion will be noted for  
7 the record.

8 FDA encourages all other participants to  
9 advise the committees of any financial  
10 relationships that they may have with any firm at  
11 issue. Thank you.

12 DR. BROWN: We will now proceed with the  
13 FDA's introductory remarks from Dr. Sharon Hertz.

14 **FDA Introductory Remarks - Sharon Hertz**

15 DR. HERTZ: Good morning. Advisory  
16 committee members, invited guests, all here and  
17 those watching remotely, thank you for attending  
18 this meeting and taking time from your busy  
19 schedules.

20 At this joint meeting of the AADPAC and  
21 DSaRM, we will be discussing application from  
22 Intellipharmaeutics for a new extended-release

1 formulation of oxycodone, designed with properties  
2 intended to deter abuse by the nasal and  
3 intravenous routes, although the sponsor is only  
4 seeking labeling for the intravenous route at this  
5 time.

6 Proposed indication is the management of  
7 pain severe enough to require daily, around-the-  
8 clock, long-term opioid treatment and for which  
9 alternative treatment options are inadequate.  
10 Prescription opioid analgesics are under intense  
11 scrutiny, appropriately at present, and each new  
12 product that proposes to make claims based on  
13 labeling must have data suitable to support those  
14 claims, including claims of abuse-deterrent  
15 characteristics.

16 While we've approved 10 opioid analgesic  
17 products with labeling describing abuse-deterrent  
18 characteristics, there is still much we need to  
19 know about the ability of formulations to deter  
20 abuse.

21 In spite of efforts to carefully convey that  
22 abuse-deterrent opioid analgesics are still

1 abuseable, can still result in death due to  
2 overdose, and can still lead to addiction, we are  
3 learning there appears to be a great  
4 misunderstanding among some prescribers that abuse-  
5 deterrent properties mean the product is safer,  
6 abuse proof, or less addictive, all of which are  
7 absolutely not true.

8 As you may recall, products listed under  
9 Schedule II of the Controlled Substances Act have a  
10 high potential for abuse, which may lead to severe  
11 psychological or physical dependence. The current  
12 abuse-deterrent opioid analgesics remain in  
13 Schedule II because they have a high potential for  
14 abuse, which may lead to severe psychological or  
15 physical dependence.

16 The guidance for industry, evaluation, and  
17 labeling of abuse-deterrent opioid analgesics is  
18 our attempt to provide a series of recommendations  
19 for studies to be conducted to describe abuse-  
20 deterrent properties and the appropriate labeling  
21 to reflect those findings.

22 The results of the applicant's in vitro

1 physical and chemical manipulation studies will be  
2 presented during this meeting. However, no  
3 clinical studies of the pharmacokinetic effects of  
4 manipulation or pharmacodynamic endpoints were  
5 conducted as recommended in the guidance.

6 Because it's not possible to safely conduct  
7 human studies of manipulated oral formulations by  
8 the intravenous route, for products with physical  
9 chemical properties intended to deter intravenous  
10 abuse, we rely on the in vitro assessment of the  
11 ability to manipulate the product for extraction or  
12 to form a solution suitable for injection.  
13 However, it is possible to do clinical studies of  
14 pharmacodynamic endpoints for products by the oral  
15 and nasal routes of administration.

16 There are currently no data that establish  
17 clear criteria for the relationship between  
18 in vitro properties and abuse-deterrent effects for  
19 abuse by the oral and nasal routes.

20 Yes, we think smaller particle size may  
21 result in greater absorption of the opioid across  
22 the nasal mucosa, but depending on the properties

1 of the excipients, the particle size alone may not  
2 be enough to predict the rate and extent of  
3 absorption of the opioid.

4 Even when we have the pharmacokinetic data  
5 of the opioid from a manipulated abuse-deterrent  
6 formulation administered by one of these routes, we  
7 don't have enough data to predict whether the rate  
8 and extent of absorption will result in any  
9 meaningful difference compared to other non-abuse-  
10 deterrent or other abuse-deterrent products.

11 In the important pharmacodynamic endpoints  
12 of drug liking, drug high, or willingness to take  
13 the drug again; hence, the use of human abuse  
14 liability studies to establish that for the  
15 specific PK profiles observed, there is or is not  
16 an effect on these endpoints.

17 Basically, we ask the study subject to tell  
18 us whether they would want to abuse the drug again.  
19 We have no better alternative endpoints and  
20 generally reject arguments that small differences  
21 in the amount of drug high or liking that do not  
22 influence the decision to abuse the drug again are



1 meaningful.

2           While the development of abuse-deterrent  
3 opioid analgesic formulations is considered one  
4 important element in reducing prescription opioid  
5 abuse, the product must not create undue risk for  
6 the patient taking it as directed for the  
7 management of pain.

8           In April of 2010, an advisory committee was  
9 held to discuss a product that contained niacin,  
10 intended to minimize the potential for oral abuse  
11 of the opioid. In addition to the committee  
12 disagreeing with the sponsor's interpretation of  
13 deterrent effects, the committee concurred with our  
14 interpretation that it was unacceptable to subject  
15 patients to additional side effects associated with  
16 exposure to niacin.

17           It's also important to avoid unintended  
18 consequences for individuals who abuse prescription  
19 opioids. As discussed at the March 2017 advisory  
20 committee meeting, reformulated Opana extended-  
21 release, Opana ER, was associated with a  
22 thrombocytopenic microangiopathy and an outbreak of

1 HIV among individuals who were abusing the product  
2 by intravenous administration. These were  
3 unacceptable and unexpected findings.

4 Further, data presented at this March  
5 advisory committee suggested that the introduction  
6 of reformulated Opana ER was associated with a  
7 shift from nasal to intravenous abuse, a riskier  
8 route of abuse also unacceptable.

9 You will hear presentations from the  
10 applicant regarding their findings. The agency  
11 will present our approach to the development of  
12 abuse-deterrent opioid analgesic formulations based  
13 on the guidance for industry issued in 2015. You  
14 will also hear presentations regarding the safety  
15 of excipients as it relates to intravenous abuse of  
16 opioid-deterrent analgesic formulations and the  
17 behavior or effects of persons who abuse opioids  
18 and what may or may not affect those behaviors.

19 We do not have postmarketing data to present  
20 for the spectrum of approved opioid analgesics with  
21 abuse-deterrent labeling, and I know that's  
22 frustrating to some of you. For a number of

1 products, this is a result of a number of  
2 challenges in the availability of data to describe  
3 the impact of these products on abuse as discussed  
4 at our recent public meeting just a couple of weeks  
5 ago.

6 As well as a chicken-and-egg situation,  
7 where in the absence of data providing a rationale  
8 for the higher cost of these products, there is low  
9 market penetration. But the low market penetration  
10 limits the ability to collect the data.

11 However, some sponsors have enough data to  
12 publish postmarketing epidemiology studies for  
13 their products, such as Purdue, but have not yet  
14 taken the opportunity to bring their data to this  
15 setting for an open scientific discussion. We look  
16 forward to that opportunity.

17 So as with our prior AC meetings, we will  
18 ask you to use our best judgment to address our  
19 questions with the limited information available.  
20 Further, we are working to find out how to  
21 determine whether products that are able to deter  
22 attempts at abuse by certain routes, whether these

1 result in a reduction in the overall abuse problem  
2 or if they're deflecting abuse to other illicit or  
3 illicit opioids, we will appreciate hearing your  
4 discussion about whether the sponsor has  
5 demonstrated abuse-deterrent properties for their  
6 product and whether the data support labeling and,  
7 overall, whether the benefits of the product  
8 outweigh its risks, and whether it should be  
9 approved.

10 As always, we recognize these are difficult  
11 questions and the answers aren't easy. That's why  
12 we're here, asking for your advice. We're asking  
13 you to provide your expertise, and experience, and  
14 best insights to help us find a reasonable and  
15 responsible path forward.

16 Your advice and recommendations will be  
17 essential in assisting us with addressing this  
18 complex and critical public health concern, and we  
19 are grateful that you have agreed to join us for  
20 this important discussion. Thank you.

21 DR. BROWN: Thank you, Dr. Hertz.

22 Both the Food and Drug Administration and

1 the public believe in a transparent process for  
2 information-gathering and decision-making. To  
3 ensure such transparency at the advisory committee  
4 meeting, FDA believes it is important to understand  
5 the context of an individual's presentation.

6 For this reason, FDA encourages all  
7 participants, including the applicant's non-  
8 employee presenters, to advise the committee of any  
9 financial relationships that they may have with the  
10 applicant such as consulting fees, travel expenses,  
11 honoraria, or interest in a sponsor, including  
12 equity interest and those based upon the outcome of  
13 the meeting.

14 Likewise, FDA encourages you, at the  
15 beginning of your statement, to advise the  
16 committee if you do not have any such financial  
17 relationships. If you choose not to address this  
18 issue of financial relationships at the beginning  
19 of your presentation, it will not preclude you from  
20 speaking.

21 We will now proceed with  
22 Intellipharmaceutics's presentations.

1                   **Applicant Presentation - Isa Odidi**

2                   DR. ODIDI: Good morning. My name is  
3 Dr. Isa Odidi, and I am the CEO and the co-chief  
4 scientific officer of Intellipharma. I'd  
5 like to thank the FDA and the committee for  
6 allowing us to present our data today.

7                   We're here to discuss our NDA for our  
8 oxycodone extended-release tablets that use  
9 chemical barriers and aversion technologies to  
10 discourage abuse. For this presentation, we'll  
11 refer to our product as IPC Oxy.

12                  IPC Oxy is an opioid agonist indicated for  
13 pain severe enough to require daily around-the-  
14 clock long-term opioid treatment and for which  
15 alternative treatment options are inadequate. IPC  
16 Oxy is intended for adults and opioid-tolerant  
17 pediatric patients, 11 and older, who can tolerate  
18 a minimum daily upper dose of at least  
19 20 milligrams oral oxycodone or its equivalent.

20                  We have filed an NDA for IPC Oxy under  
21 505(b)(2) drug approval pathway, using OxyContin as  
22 the reference listed drug. Our category 1 studies

1 compare IPC Oxy to OxyContin. The proposed dosage  
2 strengths, which range from 10 to 80 milligrams,  
3 are the same as OxyContin. Our product was  
4 designed so a patient taking OxyContin could easily  
5 transition onto IPC Oxy. Bioequivalence data  
6 demonstrated that patients will receive the same  
7 therapeutic amount of oxycodone with IPC Oxy.

8 IPC Oxy is also bioequivalent to itself  
9 under fasting and fed conditions. That means  
10 there's no significant food effect. Patients can  
11 take IPC Oxy without regard to meals. It's also  
12 important to note that there's no dose dumping with  
13 alcohol.

14 In regard to abuse deterrence, IPC Oxy and  
15 OxyContin have some similar characteristics, but  
16 IPC Oxy has additional features that provide an  
17 incremental improvement in abuse deterrence over  
18 OxyContin. Both products offer resistance to  
19 physical manipulation and chemical extraction, and  
20 both form a viscous gel on contact with liquid to  
21 resist IV injection.

22 However, IPC Oxy gels more quickly. Its gel

1 is generally 3 times more viscous than that of  
2 OxyContin. And importantly, IPC Oxy is more  
3 resistant to pre-treatment strategies featured on  
4 internet that are commonly used by abusers. IPC  
5 Oxy also contains a nasal irritant to discourage  
6 snorting. It also contains an intense staining  
7 blue dye that is released if the tablet is crushed  
8 or chewed.

9 On the next slide, we will show a video of  
10 what happens when both products are exposed to pre-  
11 treatment according to an internet recipe that is  
12 commonly used to defeat OxyContin. Subjected to  
13 the same conditions for the same period of time,  
14 IPC Oxy turns into a thick blue gel while OxyContin  
15 is still relatively liquid.

16 As you will see later in the presentation,  
17 these features result in IPC Oxy being more  
18 difficult to draw into a syringe and having  
19 superior IV abuse deterrence for common methods  
20 that abusers use to defeat abuse-deterrent  
21 formulations.

22 The blue color you saw in the previous slide



1 is released whenever IPC Oxy is crushed or chewed.  
2 The dye stains. It is difficult to remove from the  
3 hands, face, or clothes. Removing it requires  
4 intense scrubbing with a brush for about 30 to  
5 40 minutes. We developed this feature as a  
6 potential deterrent to abusers, but for another  
7 reason as well.

8           When somebody becomes a serious abuser, and  
9 especially in cases of overdose and death, it is  
10 not uncommon to hear family and friends saying  
11 things like, "If only we had known, maybe we could  
12 have done something." It's our hope that the dye  
13 could discourage abusers, but also act as an early  
14 warning signal for family, friends, and coworkers  
15 so their loved ones have a greater chance of  
16 getting help for the progress to more serious  
17 abuse.

18           We decided to pursue the IV abuse deterrence  
19 label rather than wait for the abuse deterrence  
20 studies to be completed because we want the product  
21 to be available to physicians and patients sooner  
22 rather than later.

1           We believe that there's a need for  
2 innovation and improvement over currently available  
3 abuse-deterrent formulations. Data show that other  
4 ADFs, including OxyContin, are still being abused  
5 intravenously. While these products can be still  
6 taken when used as intended, they are not supposed  
7 to be injected and neither is IPC Oxy.

8           The difference is that our product is very  
9 unlikely to be abused by the IV route because of  
10 the difficulty in preparing it for injection. In  
11 category 1 studies, IPC Oxy showed similar or  
12 superior IV abuse deterrence over OxyContin. We  
13 think this is important because of the dangers  
14 involved with IV injection.

15           Excipient safety is another issue we have  
16 carefully considered. The data show that the blue  
17 dye and the nasal irritant SLS do not pose  
18 unintended safety risks when the product is taken  
19 as intended.

20           There are limited data to suggest that  
21 excipients are safe when taken by non-intended  
22 routes. However, like all ADFs, we will include

1 all appropriate warnings against injection,  
2 crushing, snorting, or tampering with the product  
3 in any way. And finally, although we are only  
4 seeking a label for IV abuse deterrence at this  
5 time, we did design IPC Oxy to deter abuse by other  
6 routes, and our category 1 data suggests that it  
7 will.

8 We expect that the blue dye and the nasal  
9 irritant would act as a deterrence. However, we  
10 understand the evidence concern and recognize that  
11 we will need human abuse data for an explicit label  
12 on other routes of abuse. We will collect the data  
13 in the future, and we will seek to update the label  
14 at that time.

15 Consistent with our current level of  
16 evidence as well as with standard ADF language, we  
17 are proposing the following label language. The  
18 in vitro data demonstrate that IPC Oxy tablets have  
19 physical chemical properties expected to deter  
20 intravenous abuse. However, abuse of these tablets  
21 by this route as well as by the oral and intranasal  
22 routes is still possible.

1           We also are proposing the following labeling  
2 warning. If crushed or chewed, IPC Oxy tablets  
3 release an intense blue dye that can stain skin as  
4 well as oral and nasal cavities.

5           Now, I want to take a moment to discuss  
6 another issue that is likely on your mind. This  
7 past March, advisory committees reviewed data  
8 suggesting that reformulated Opana ER was  
9 associated with cases of thrombotic  
10 thrombocytopenia purpura or TTP-like illness. The  
11 FDA presented data suggesting that these cases were  
12 caused by IV injection of the polyethylene oxide,  
13 or PEO, in reformulated Opana ER.

14           At the request of FDA, Opana was withdrawn  
15 from the market earlier this month. During that  
16 advisory committee meeting, the FDA presented data  
17 on cases of thrombotic microangiopathy, or TMA, of  
18 which TTP is a subset in Opana ER compared to  
19 various other deterrent opioids.

20           Because IPC Oxy is most similar to  
21 OxyContin, we will show you that comparison. The  
22 FDA found an extremely low incidence of TMA

1 associated with the injection of OxyContin compared  
2 to Opana ER. From the time Opana was reformulated  
3 in 2011 until June 2016, there were 59 cases of TMA  
4 with Opana ER in the U.S. OxyContin was associated  
5 with 2 cases.

6 This difference is even more dramatic when  
7 you can see the number of prescriptions written  
8 during that time period, approximately 750,000 for  
9 Opana ER versus 24 million for OxyContin. This  
10 translates into a rate of nearly 8 cases per  
11 100,000 prescriptions for Opana compared to less  
12 than .01 for OxyContin.

13 While we don't know why the risks appear  
14 significantly lower with OxyContin, the FDA has  
15 commented that reformulated Opana ER can be readily  
16 prepared for injection. It also contains a  
17 different type of PEO than OxyContin.

18 IPC Oxy is even more resistant to IV abuse  
19 than OxyContin. It also contains the same PEO as  
20 in OxyContin. This information suggests that IPC  
21 Oxy's TMA-related safety profile would be similar  
22 or even better than that of OxyContin.

1           Here now is the agenda for the rest of our  
2 presentation. Dr. Richard Dart will present the  
3 rationale for abuse-deterrent opioid pain  
4 medications that provide incremental improvement  
5 over current ADFs as well as the need for  
6 innovative approaches to abuse deterrence.

7           Dr. Beatrice Setnik, vice president for  
8 scientific and medical affairs at INC Research,  
9 will discuss the clinical pharmacology of IPC Oxy.  
10 Dr. Edward Cone, a recognized world expert on the  
11 chemistry and pharmacology of drug abuse, will  
12 present the category 1 studies that demonstrate  
13 similar or superior IV abuse deterrence to  
14 OxyContin.

15           Dr. Edward Sellers will provide the public  
16 health perspective on abuse deterrence and IPC Oxy  
17 and close our presentation. In addition, we are  
18 joined by toxicologist Dr. William Brock to answer  
19 questions on the safety of excipients. All of our  
20 speakers' organizations have been paid for their  
21 time and expenses. No one has a financial interest  
22 in the outcome of this meeting.

1           Thank you for your attention. I will now  
2 call on Dr. Dart to the lectern.

3           **Applicant Presentation - Richard Dart**

4           DR. DART: Good morning. My name is Rick  
5 Dart, and I'm the director of the Rocky Mountain  
6 Poison and Drug Center and a professor at the  
7 University of Colorado. I'm also executive  
8 director of the RADARS system, which studies  
9 prescription drug abuse and aversion in the United  
10 States. Today, I'll explain why I feel we need  
11 additional opioids with abuse-deterrent properties  
12 on the U.S. market.

13           Nearly every day, the news tells us that the  
14 number of opioid deaths in the United States is  
15 increasing. It's important to realize that these  
16 increased rates are primarily due to heroin and  
17 illicit synthetic opioids like fentanyl rather than  
18 prescription opioids.

19           The National Vital Statistics System, or  
20 NVSS, data from CDC show that deaths in the natural  
21 and semi-synthetic opioid group, which includes  
22 oxycodone, shown in purple on this slide, have

1       plateaued since 2011, but they remain at historic  
2       high rates. In 2015, there were almost 13,000  
3       deaths in this category alone.

4               We can't tell from the CDC data what routes  
5       of abuse were involved in these deaths. The poison  
6       centers do record the route of abuse involved in  
7       each case they receive. In the RADARS poison  
8       center program, injection was involved in 15 to  
9       20 percent of the fatal intentional abuse cases.  
10       While poison centers receive only 5 to 10 percent  
11       of the fatalities that are reported to NVSS, the  
12       trends correlate between the programs reasonably  
13       well.

14               Now, we can't directly apply this proportion  
15       to the NVSS data certainly, but I think we can say  
16       that injection remains a substantial problem among  
17       the prescription opioids.

18               Compared to simple ingestion, the risk of  
19       death or a major adverse effect in the RADARS  
20       poison center program was found to be 2.6 times  
21       greater when the case involved intravenous  
22       injection. And of course, there are other



1 consequences of IV abuse as well. The CDC recorded  
2 in 2015 that 6 percent of new HIV diagnoses and  
3 10 percent of new AIDS diagnoses were attributed to  
4 some type of IV abuse.

5 Injecting an opioid like oxycodone also puts  
6 the abuser at risk for other bloodborne infections  
7 like hepatitis C and endocarditis, not to mention  
8 blood clots and other problems.

9 The potential benefits of reducing injection  
10 can be seen in these data from the RADARS RAPID  
11 program, which show that reformulated OxyContin is  
12 still snorted and injected. RAPID is a subset of  
13 Dr. Ted Cicero's SKIP program and consists of a  
14 cohort of individuals in substance abuse treatment.  
15 These data were from 244 individuals distributed  
16 across the United States who abused OxyContin  
17 before and after its reformulation and answered the  
18 question, "Which of the following applied to your  
19 use of both new and old formulations of OxyContin  
20 to get high or to alter your mood?"

21 Almost 45 percent of patients responded that  
22 they switched from injecting or snorting to

1 swallowing. This in itself is a beneficial change.  
2 Thirty-five percent of the patients indicated that  
3 they continued to inject or snort OxyContin, so  
4 injection of oxycodone ER remains a problem.

5 We see the same result in epidemiologic data  
6 from the RADARS poison center program. The  
7 proportion of respondents indicating they injected  
8 OxyContin has decreased over time, but still about  
9 15 to 20 percent of the cases continue to involve  
10 injection.

11 So how could an additional abuse-deterrent  
12 formulation help with this problem? There are  
13 three general types of opioid abusers, although the  
14 groups do overlap. There are of course legitimate  
15 pain patients who are simply trying to obtain pain  
16 relief. We know a small portion will go on to  
17 abuse their medication, and an even smaller number  
18 will end up with opioid use disorder.

19 For those just beginning to abuse, an abuse-  
20 deterrent formulation may deter them from crushing  
21 to speed up and intensify the drug effect. ADFs  
22 can present a barrier to the intranasal and IV

1 abuse because these patients haven't developed  
2 severe abuse behaviors yet and should be less  
3 committed and knowledgeable about overcoming the  
4 abuse-deterrent mechanism.

5 The effects would be similar in many novice  
6 or recreational abusers who are experimenting with  
7 opioids. An effective abuse-deterrent product  
8 could create a barrier to snorting or injection, an  
9 important feature since these routes, especially  
10 the IV route, are inherently more dangerous.

11 For advanced abusers, an abuse-deterrent  
12 formulation may well deter them from snorting or  
13 injecting that product, but it will not stop their  
14 larger opioid abuse problem. They will likely  
15 switch to another drug or, as we saw, switch back  
16 to oral abuse.

17 What these individuals need is substance  
18 abuse treatment. An abuse-deterrent product can't  
19 completely stop abuse, but it is clear from both  
20 quantitative data as well as chatrooms and blogs  
21 that these products do create significant barriers  
22 to risky routes of abuse.

1           So why do we need more ADF options? Well,  
2 first, the current products have not eliminated  
3 intravenous abuse of ER oxycodone, and second,  
4 improved ADF options are needed to address  
5 vulnerabilities in currently available products.

6           Third, the development of innovation in  
7 opioid abuse deterrence was anticipated and  
8 encouraged in the FDA guidance. IPC Oxy, which  
9 you're about to hear more about, is an example of  
10 some innovative thinking in that area. Thank you  
11 for your attention, and I'll turn this over to  
12 Dr. Setnik now.

13                   **Applicant Presentation - Beatrice Setnik**

14           DR. SETNIK: Good morning. I'd like to  
15 thank the FDA and the panel for the opportunity to  
16 present today. My name is Beatrice Setnik, and I  
17 am the VP of medical and scientific affairs for INC  
18 Research and an adjunct professor with the  
19 Department of Pharmacology and Toxicology at the  
20 University of Toronto. Today, I'll review the  
21 clinical pharmacology of IPC Oxy, including its  
22 bioequivalence to the comparator OxyContin.

1           Here is an overview of my brief  
2 presentation. I will cover the bioequivalence,  
3 dose proportionality, and assessment of food  
4 effects of IPC Oxy. The studies that I will  
5 present today were conducted under naltrexone  
6 cover, and all fed states were administered as  
7 standard high-fat meals.

8           This graph shows the ratios and confidence  
9 intervals for maximum plasma concentrations, or  
10 Cmax, in area under the curve, or AUC values,  
11 comparing 10 milligrams of IPC Oxy to OxyContin.

12           Values below a least square or LS mean ratio  
13 of 100 percent indicate that oxycodone  
14 concentration was lower with IPC Oxy than with  
15 OxyContin, whereas values greater than 100 indicate  
16 higher concentrations with IPC Oxy; and FDA's  
17 criteria for bioequivalence specifies that the 90  
18 percent confidence intervals around the ratio  
19 should fall within the range of 80 to 125 percent,  
20 which is shown by the gray shading.

21           This criteria serves as a basis for drug  
22 approval. As you can see, 10 milligrams of IPC Oxy

1 met the bioequivalence criteria under both fed and  
2 fasted conditions.

3 Looking at the confidence intervals of the  
4 80-milligram dose for Cmax, AUC last, and AUC  
5 infinity, we see that IPC Oxy met the  
6 bioequivalence criteria under both fed and fasted  
7 conditions.

8 This slide shows the results of the Multiple  
9 Dose study. This study involved the administration  
10 of 80 milligrams of IPC Oxy and OxyContin every  
11 12 hours for 3 days in 24 healthy volunteers. The  
12 pharmacokinetic parameters were examined at steady  
13 state. As you can see, the LS means and 90 percent  
14 confidence intervals for Cmax and AUC were  
15 contained within the 80 to 125 percent range. This  
16 demonstrates that IPC Oxy was equivalent to  
17 OxyContin at steady state.

18 Moving now to the dose proportionality  
19 study, this was a randomized 7-period crossover  
20 open-label laboratory-blind study. IPC Oxy was  
21 given as 7 single oral doses ranging from 10 to  
22 80 milligrams. Blood samples were taken up to

1 24 hours post-dosing. The subjects included  
2 healthy male adults aged 18 to 50 years old, tested  
3 under fasted conditions, and 22 subjects completed  
4 the study.

5 This slide shows the results. The power  
6 estimates and 90 percent confidence intervals for  
7 Cmax and AUC were contained within the 0.8 to  
8 1.2 criteria. This demonstrates that IPC Oxy is  
9 dose proportional between all 7 doses.

10 This graph provides the least square means  
11 for the long-transformed pharmacokinetic parameters  
12 of Cmax and AUC infinity for each dose. Plots of  
13 these least-square means illustrate the dose  
14 proportional responses obtained in this study.

15 Finally, a PK study was conducted to  
16 determine if there was a clinically significant  
17 food effect. This figure illustrates the plasma  
18 oxycodone concentrations over time, comparing fed,  
19 indicated by the gray line, and fasted states,  
20 indicated by the blue line. As you can see, the  
21 curves appear similar. In addition, the LS means  
22 and 90 percent confidence intervals for Cmax and

1 AUC were contained within the 80 to 125 percent  
2 range, demonstrating that there is no food effect  
3 with IPC Oxy.

4 In summary, IPC Oxy was shown to be  
5 bioequivalent to OxyContin. This supports the  
6 approval of the 505(b)(2) application and forms a  
7 scientific bridge to a well-established safety and  
8 efficacy profile. It was proportional between all  
9 7 doses, and there was no clinically significant  
10 food effect. And therefore, patients can take  
11 medications without regards to meals.

12 Thank you for your attention. I would now  
13 like to invite Dr. Edward Cone as the next speaker.

14 **Applicant Presentation - Edward Cone**

15 DR. CONE: Good morning. My name is Edward  
16 Cone. I'm a principal scientist employed at Pinney  
17 Associates, and my expertise is in the chemistry,  
18 pharmacology, and the design and execution of  
19 category 1 in vitro studies of abuse-deterrent  
20 opioids. Prior to joining Pinney Associates, I  
21 spent 26 years as a commissioned officer and chief  
22 of the chemistry section at the National Institute



1 on Drug Abuse.

2 The sponsor conducted a series of in vitro  
3 studies to evaluate the physical and chemical  
4 abuse-deterrent properties of IPC Oxy in  
5 consultation with Pinney Associates and in  
6 accordance with the 2015 FDA guidance.

7 In all relevant studies, OxyContin was used  
8 as the abuse-deterrent comparator. The selection  
9 of tools, solvents, and conditions followed a  
10 systematic approach. First, an exploratory phase  
11 was conducted to manipulate the products using  
12 common practices employed by abusers for different  
13 routes of abuse.

14 Next, we standardized and optimized  
15 conditions for inclusion in formal studies and to  
16 include worst-case scenarios. These studies  
17 include both common methods used by abusers as well  
18 as extreme laboratory manipulations that abusers  
19 are unlikely to use in the real world.

20 Here are the category 1 abuse-deterrent  
21 studies I'll present, experiments with various  
22 household tools to reduce the particle size of IPC

1 Oxy and OxyContin; syringeability and injectability  
2 studies of both drugs to evaluate resistance to IV  
3 abuse; large-volume extraction studies to evaluate  
4 resistance to chemical extraction in a variety of  
5 solvents; an in vitro study to assess the risk of  
6 alcohol dose dumping; a dissolution study to  
7 evaluate drug release of manipulated IPC Oxy and  
8 OxyContin tablets; a study to assess the ability of  
9 various solvents and chemicals to eliminate the  
10 blue dye from IPC Oxy; and finally, a simulated  
11 smoking study to assess the feasibility of smoking  
12 these products.

13 I'll now review the particle-size reduction  
14 experiments. Ten household tools were selected  
15 that represent the different methods an abuser  
16 might use to decrease the particle size of solid  
17 opioid dosage forms, including cutting, crushing,  
18 grating, and grinding.

19 Trained laboratory technicians applied each  
20 tool to IPC Oxy and OxyContin. The resulting  
21 particle-size distribution was measured by sieve  
22 analysis. On this slide, the X-axis shows each of

1 the 10 tools used, and the Y-axis represent the  
2 percent of particles smaller than 600 microns.  
3 Tools 2, 4, and 8 are not shown here because they  
4 were unable to reduce either product in defined  
5 particles, so sieve analysis was not feasible.

6 Given tablet hardness is not the primary  
7 abuse-deterrent feature of IPC Oxy, it yielded a  
8 somewhat higher percentage of small particles  
9 across the various tools in comparison to  
10 OxyContin. Tool 10 was further evaluated because  
11 adding additional tablets to this tool can produce  
12 smaller particle sizes. Particle size reduction  
13 procedure was optimal when grinding 20 tablets for  
14 3 minutes.

15 So the next slide shows particle-size  
16 distribution of both products using tool 10. While  
17 IPC Oxy had finer particles in both cases,  
18 99 percent of the particles were reduced to less  
19 than 600 microns, and that's for both products.  
20 This range is suitable for snorting. However, it  
21 is important to note that the smaller particle  
22 sizes yielded by IPC Oxy actually increased gelling

1 and did not increase drug release or extraction.

2           Next, I'll discuss the studies that  
3 evaluated the potential for IV abuse. First, I'll  
4 discuss the common methods found on the internet  
5 that abusers use to prepare intact or manipulated  
6 tablets for IV injection. Abusers first crush the  
7 tablet and extract the drug in 1 or 2 milliliters  
8 of water in a spoon.

9           They may heat the spoon with a lighter to  
10 accelerate drug release. When ready to inject,  
11 most will syringe the material through a piece of  
12 cotton or a cigarette filter to avoid clogging of  
13 the needle or injecting particulates. Most abusers  
14 only use a 27- or 29-gauge needle, although some  
15 may use larger syringes and needles with smaller  
16 gauges.

17           Most abuse-deterrent formulations are  
18 designed to resist common methods for IV abuse.  
19 For IPC Oxy, we went further and evaluated common  
20 and more advanced methods from internet recipes.  
21 Both IPC Oxy and OxyContin are formulated to deter  
22 IV abuse by producing a highly viscous gel when

1 hydrated. IPC Oxy also has excipients to enhance  
2 the gelling feature, even when subjected to a  
3 considerable extraction volume or pre-treatment.

4 So in general, following the small-volume  
5 extraction procedure, we attempted to syringe the  
6 material through a small cotton filter. We started  
7 with the largest needle gauge, a size commonly used  
8 in blood transfusions. If successful, we  
9 progressively tested smaller needles that are more  
10 commonly used for injection.

11 We first conducted what we call standard  
12 syringeability and injectability studies that  
13 simulate common abuser practices and included some  
14 very extreme conditions. Samples of IPC Oxy and  
15 OxyContin in tablet form B were added to various  
16 volumes of solvent ranging from volume 1 to  
17 volume 6 of solvents 1 or 2. These samples were  
18 incubated for up to 30 minutes with agitation A or  
19 C and temperatures A or B.

20 So for the standard syringeability test,  
21 none of the conditions yielded a suitable amount of  
22 injectable oxycodone, even with the largest needle

1 gauge. This supports that both IPC Oxy and  
2 OxyContin have abuse-deterrent properties for the  
3 IV route.

4 This slide shows a representative example of  
5 the abuse deterrence of both drugs using these  
6 standard procedures. As you can see, both products  
7 gel, making the liquid preparation nearly  
8 impossible to inject. However, there are some  
9 recipes to overcome the gelling properties of these  
10 formulations.

11 The most typical manipulation involves pre-  
12 treatment D, so we tested both drugs by applying  
13 pre-treatment D to tablet form B under a variety of  
14 conditions. The samples were incubated for up to  
15 5 minutes with agitation A at temperature A or B.

16 Turning to the data, with pre-treated  
17 tablets at temperature A at 30 seconds, we were  
18 able to recover about 20 percent of oxycodone from  
19 IPC Oxy compared to 40 percent from OxyContin. At  
20 temperature B at 30 seconds, we also recovered less  
21 oxycodone from IPC Oxy. The IPC Oxy solution in  
22 the syringe was a deep viscous blue liquid and was

1 difficult to expel. The OxyContin had the  
2 consistency of water. So clearly, IPC Oxy was more  
3 resistant to syringeability than OxyContin after  
4 pre-treatment.

5 Here's the same pre-treated tablets at  
6 temperature A across time. We used the same  
7 container and needle gauge to attempt to load the  
8 syringe, first at 30 seconds, and then at  
9 3 minutes, and then at 5 minutes. Both products  
10 were not syringeable at 3 or 5 minutes.

11 From all of these IV-related studies, we  
12 concluded that IPC Oxy has either similar or  
13 superior IV abuse deterrence compared to OxyContin.

14 Turning to our large volume extraction  
15 studies, we used a variety of solvents to prepare  
16 the drug in solution. The abuser might then either  
17 drink the solution or it might serve as a starting  
18 point for further work in manipulations.

19 In these studies, the rate of drug release  
20 from IPC Oxy and OxyContin in tablet form B was  
21 evaluated in large volumes of a variety of  
22 households and advanced solvents. As recommended

1 by the 2015 FDA guidance, the solvents had a range  
2 of pHs and polarities as well as protic and aprotic  
3 properties. Experiments were conducted at  
4 different temperatures and agitation conditions to  
5 maximize the amount of oxycodone extracted.

6 This next slide shows the amount of  
7 oxycodone released at 30 minutes. Extraction was  
8 performed with volume 7 of various solvents at  
9 agitation A at temperature A. The main oxycodone  
10 release was generally similar between IPC Oxy and  
11 OxyContin for most of the solvents, particularly  
12 those very common ones that are easily ingested.

13 Next, I'll review the in vitro study that  
14 assessed potential for IPC Oxy to dose dump in  
15 alcohol. It's well known that some ER opioids may  
16 rapidly release when co-ingested with alcohol,  
17 possibly leading to toxicity, overdose, or death.  
18 The in vitro study provides evidence that the co-  
19 ingestion of alcohol with IPC Oxy would not lead to  
20 dose dumping.

21 These are the results with IPC Oxy tablets  
22 in dissolution condition A, showing the amount of



1 drug released over time. IPC Oxy actually released  
2 less oxycodone as the concentration of alcohol  
3 increased.

4 To speed the release of API in ER opioid  
5 formulations, abusers may heat, crush, cut, or  
6 grind tablets prior to ingestion. Thus,  
7 dissolution studies were also designed to evaluate  
8 the impact of different types of manipulations to  
9 defeat abuse-deterrent properties.

10 Dissolution of tablet form B and dissolution  
11 condition A showed that the release of oxycodone  
12 was similar between the products. In the first few  
13 hours, IPC Oxy drug release was slightly lower than  
14 OxyContin. This demonstrates that the smaller  
15 particle-size distribution of manipulated IPC Oxy  
16 did not lead to a faster release than OxyContin;  
17 rather, the smaller particles likely enhanced the  
18 gelling properties and slowed oxycodone release at  
19 early time points.

20 As mentioned, abusers commonly pre-treat  
21 tablets to defeat the ADF properties. This slide  
22 illustrates the dissolution profile of IPC Oxy and

1 OxyContin under tablet form A following pre-  
2 treatment G in dissolution C. These results  
3 further demonstrate that IPC Oxy has greater  
4 resistance against pre-treatments known to defeat  
5 currently marketed abuse-deterrent formulations  
6 like OxyContin.

7           Moving now to the dye elimination studies,  
8 in those tests, we tried to eliminate the dye from  
9 OxyContin with solvent extraction and photo and  
10 chemical degradation. Solvents 11, 13, 18, and 23  
11 could not separate the blue dye from the oxycodone.  
12 Solvents 20 and 24 separated oxycodone from the  
13 dye, but the yield of oxycodone was only 38 percent  
14 or at trace levels we call zero, respectively.

15           We concluded that a complex multi-step  
16 procedure with considerable chemistry knowledge  
17 would be required to effectively eliminate the dye  
18 from the product.

19           Finally, abusers may try to vaporize the  
20 drug so they can smoke it. The common procedure  
21 for smoking opioids includes crushing or cutting  
22 tablets, placing the material on foil, and heating

1 the underside. Typically, the heat melts and chars  
2 the ground material and some drugs may be  
3 vaporized.

4 We developed two standardized procedures to  
5 simulate this form of abuse. At optimal heating  
6 conditions with a block heater, approximately  
7 6 percent and 7 percent of oxycodone was vaporized  
8 from the two products.

9 Applying direct heat with a Bunsen burner  
10 yielded 8 percent oxycodone recovery from IPC Oxy  
11 and 11 percent from OxyContin. Probably neither  
12 method would be considered an efficient route of  
13 administration for either product.

14 So in summary, IPC Oxy was somewhat easier  
15 to crush into small particles. In most cases,  
16 oxycodone could not be separated from the blue dye  
17 with single-step chemical manipulations. IPC Oxy  
18 exhibited similar and, in most cases, superior  
19 abuse-deterrent features compared to OxyContin.

20 IPC Oxy also resists pre-treatments that  
21 defeat OxyContin. Large-volume extractions of IPC  
22 Oxy were comparable in most cases to OxyContin, and

1 IPC Oxy showed no evidence of dose dumping in the  
2 presence of alcohol.

3 Thank you for your attention. I'd now like  
4 to invite Dr. Sellers.

5 **Applicant Presentation - Edward Sellers**

6 DR. SELLERS: Thank you. Good morning. My  
7 name is Ed Sellers. I am professor emeritus at the  
8 University of Toronto. I spent my 40-year career  
9 deeply engaged in research and clinical care  
10 involving drugs that have dependence and addictive  
11 potential. My group has published and presented  
12 more than 700 scientific papers, many involving the  
13 assessment of and standards for abuse potential and  
14 abuse deterrence.

15 I've been asked to place abuse-deterrent  
16 formulations, and the IPC Oxy formulation in  
17 particular, in the context of the serious public  
18 health problem that exists with prescription and  
19 other opioid abuse in the United States.

20 The causes and remedies of the opioid abuse  
21 problem are complicated and will not be addressed  
22 by a single intervention or drug. All ER opiates

1 must be abuse deterrent because they contain  
2 relatively large amounts of active pharmaceutical  
3 ingredient, which makes them very tempting for  
4 tampering or abuse.

5 ADFs are one of the ways we can prevent the  
6 reoccurrence of the tampering and abuse that we've  
7 seen in the past. It may be useful to actually  
8 combine established ADF approaches such as gelling  
9 and including a nasal irritant with potential new  
10 ADF approaches such as the blue dye that you heard  
11 of. In addition, improving a property such as  
12 gelling, that is increasing the gelling capability,  
13 could result in more robust abuse-deterrent  
14 products than those already available.

15 To date, ER abuse-deterrent formulations  
16 have been approved based on formulation properties  
17 and pre-market studies. So far, none of these  
18 products have received category 4 labeling, namely  
19 shown to deter abuse. However, we have seen  
20 positive public health impact.

21 ER OxyContin, the comparator for the drug  
22 under consideration today, is a model for assessing

1 the public health impact of abuse-deterrent  
2 formulation with hardened and gelling properties.  
3 As you may recall, when OxyContin was approved in  
4 2010, only category 1 studies were available.  
5 Importantly, the in vitro characteristics  
6 demonstrated in these studies suggested that the  
7 abuse-deterrent formulation would impact the routes  
8 of abuse being used, overall prescribing,  
9 overdoses, deaths, and doctor shopping to obtain  
10 more drug. As you can see, those category 1  
11 studies proved to be predictive.

12 This chart summarizes the 10 postmarket  
13 studies conducted with the reformulated OxyContin.  
14 The words across the top indicate the measures that  
15 might change pre- and post-abuse-deterrent  
16 formulation as well as over time, abuse, misuse,  
17 addiction, overdose, deaths, and diversion. The  
18 checkmarks indicate which studies looked at the  
19 changes in rates of these measures. Often, they  
20 were assessed in comparison to other marketed  
21 opiates.

22 This slide summarizes the results of the

1 various studies. Significant and relatively large  
2 reductions were seen in prescribing, abuse,  
3 addiction, overdose, diversion, double-doctoring,  
4 and shifts away from non-oral abuse. Although not  
5 shown here, these studies also identified some  
6 increases in prescribing and abuse of some other  
7 single-entity ER and IR products and in use of  
8 illicit opiates. As you know, some fraction of  
9 abusers will always turn to other prescription or  
10 non-prescription opiates.

11 Some of these studies also looked at changes  
12 in routes of abuse. OxyContin showed a highly  
13 significant reduction in all abuse, but  
14 particularly in non-oral abuse shown in yellow on  
15 this slide. These data come from a variety of  
16 sources, including the cohort study in Kentucky at  
17 the top, where the reductions in non-oral abuse  
18 were especially large.

19 This slide also shows similar or greater  
20 reductions in non-oral abuse in the NAVIPPRO and  
21 RADARS monitored treatment programs and in the  
22 National Poison Data System Study. In retrospect,

1 the pre-approval category 1 data of in vitro  
2 hardening, crush resistance, and gelling with  
3 OxyContin turned out to be quite good at  
4 anticipating the postmarket effects on abuse.

5 The product under consideration today has  
6 many features in common with OxyContin. In  
7 category 1 studies, IPC Oxy shows resistance to  
8 crushing. With respect to intravenous abuse, when  
9 exposed to water, IPC Oxy turns into a highly  
10 viscous substance that's impossible to syringe and  
11 inject in volumes of solutions that abusers  
12 typically use.

13 More importantly, compared to OxyContin, IPC  
14 Oxy was much more difficult to prepare in adequate  
15 amounts for injection with typical recipes found on  
16 drug abuse websites. These recipes are the ones  
17 commonly used to defeat OxyContin. Even after  
18 applying these recipes, IPC Oxy retains high  
19 viscosity while OxyContin is basically water like.  
20 Abusers are unlikely to try to inject intravenously  
21 a deep blue highly viscous solution in my  
22 experience.



1           Several factors could be expected to  
2 discourage intranasal abuse of IPC Oxy. First,  
3 it's a misconception that intranasal abuse  
4 deterrence hinges on particle-size reduction only.  
5 In fact, it depends on a variety of factors,  
6 including texture, volume, what it feels like in  
7 the nose, and so forth.

8           So for example, when IPC Oxy comes in  
9 contact with the nasal mucosa, it's expected to  
10 form a gel that limits absorption and can drip from  
11 the nose, losing drug. We've seen this with other  
12 gelling products, and I can assure you that drug  
13 abusers are not interested at losing valuable drug  
14 in this way.

15           IPC Oxy also contains sodium lauryl sulfate,  
16 an irritant detergent. In studies with other  
17 products containing SLS such as Oxaydo, abusers  
18 have reported aversive nasal irritation. This  
19 makes them dislike snorting the drug.

20           The inclusion of the blue dye is unique.  
21 The dye is dark blue, adheres to membranes and  
22 fabrics, and is difficult to remove with usual

1 solvents. This should deter intranasal and oral  
2 abuse of crushed product.

3 In summary, in my experience, multiple ADF  
4 approaches within the same product generally result  
5 in superior deterrence. IPC Oxy has shown  
6 increased gelling properties compared to OxyContin,  
7 which has shown abuse deterrence consistent with  
8 its pre-market category 1 data. IPC Oxy is  
9 expected to deter IV abuse, and its intrinsic  
10 properties may also deter other types of abuse.

11 Thank you for your attention. I'll now turn  
12 the podium back to Dr. Odidi to answer your  
13 questions.

#### 14 **Clarifying Questions**

15 DR. BROWN: Are there any clarifying  
16 questions from the panel for Intellipharmaeutics?  
17 When asking a question, would you please remember  
18 to state your name for the record before you speak?  
19 And if you can, please direct questions to a  
20 specific presenter. And as we did last time, if  
21 you turn your card up so that we can know who to  
22 call on, I'd really appreciate it.

1 Dr. Craig?

2 DR. CRAIG: Thank you. Maybe Dr. Odidi, I'm  
3 just curious about the amount of PEO in this  
4 particular product compared to Opana or OxyContin.  
5 Is there a percentage or is there a quantity that  
6 could be identified?

7 DR. ODIDI: We don't know the amount of PEO  
8 in Opana or OxyContin because of the proprietary  
9 information.

10 DR. CRAIG: The amount of PEO in this  
11 particular product?

12 DR. ODIDI: The amount of PEO in our  
13 product, of course, that we know. It's  
14 approximately between 195 milligram to 240  
15 milligram depending on the tablet strength you're  
16 thinking of. The variation in the amount is to  
17 allow for dose proportionality given the strength  
18 of tablet or the amount of oxycodone in the tablet.

19 DR. CRAIG: So a higher amount of PEO  
20 correlates with a higher strength in milligram of  
21 oxycodone tablets?

22 DR. ODIDI: In a way, you can say that. So

1 I can give you the amounts if you want, if you so  
2 desire, for every tablet.

3 DR. CRAIG: I just was curious about the  
4 trend there. Thank you.

5 DR. ODIDI: Thank you.

6 DR. BROWN: Dr. Higgins?

7 DR. JONIAK-GRANT: Another question for  
8 Dr. Odidi. On slide CO-9, you state that there is  
9 a belief that there is excipient safety. What  
10 gives you that understanding? What data have been  
11 analyzed or anything been tested?

12 DR. ODIDI: I would like to call on  
13 Dr. Brock to answer the question, please.

14 DR. BROCK: Good morning, all. I'm Dr. Bill  
15 Brock. I'm a consulting toxicologist at IPC. I  
16 also hold an adjunct appointment in the school  
17 pharmacy at UNC-Chapel Hill. The question wasn't  
18 particularly specific about a particular excipient,  
19 but let me address the oral toxicity of the blue  
20 dye in particular.

21 There is actually considerable data on the  
22 blue dye with oral administrations. It's an

1 approved food additive. With long-term oral  
2 administration, there is systemic toxicity,  
3 significant systemic toxicity that is observed.  
4 It's not carcinogenic. It's not a developmental  
5 toxin. It's not genotoxic. And besides, it has  
6 very limited absorption from the GI tract with oral  
7 administration.

8 DR. BROWN: What about IV administration?

9 DR. BROCK: There are some very limited data  
10 with IV administration of the blue dye. With IV  
11 administration, there is some toxicity that occurs,  
12 but that toxicity is primarily at the injection  
13 site. There is no organ toxicity distant from the  
14 injection site that's observed. There was no  
15 systemic toxicity observed other than the local  
16 response. Thank you. And this is with single and  
17 repeated injection.

18 DR. BROWN: So this is eliminated through  
19 the kidneys, and there's no renal toxicity?

20 DR. BROCK: Right.

21 DR. BROWN: Or can you convince us that  
22 there have been studies which evaluated this

1 particular point?

2 DR. BROCK: The data are rather limited with  
3 IV administration, but what has been reported is  
4 that the material is eliminated primarily through  
5 the urine when injected, so the urine is actually  
6 tainted blue. There is some elimination via the  
7 feces as well excreted through the bio, such that  
8 the feces are somewhat tainted blue.

9 DR. BROWN: But limited data?

10 DR. BROCK: Pardon me?

11 DR. BROWN: I said, but limited data.

12 DR. BROCK: Limited data.

13 DR. BROWN: Thank you. Dr. Galinkin?

14 DR. GALINKIN: Yes. Jeff Galinkin, a couple  
15 of questions. The first is that all the excipients  
16 considered generally regarded as safe products.  
17 And if not, have there been testing in 11- to 18-  
18 year-olds for these for safety since this is being  
19 labeled for adolescents?

20 The second question is, I didn't see any  
21 drug-liking studies. Were these done, or were they  
22 not necessary because the dosing was felt to be

1 equivalent?

2 Then the third question I have, you  
3 mentioned that the blue dye may deter oral and  
4 intranasal abuse. If just the blue portion of the  
5 drug is scraped out, that defeats the sodium lauryl  
6 sulfate. So does that blue portion have a  
7 drug-liking score that is higher than regular  
8 oxycodone or OxyContin? Thanks.

9 DR. ODIDI: I'd like to invite Dr. Brock to  
10 answer the question as to toxicity. There are  
11 three questions there. You want to repeat the  
12 question for the benefit of Dr. Brock, please?  
13 There were three of them.

14 DR. GALINKIN: I'll just repeat it. So are  
15 all the excipients considered GRAS products? And  
16 if not or even if so, have they been tested in 11-  
17 to 18-year-olds for safety since this is being  
18 labeled for adolescents?

19 DR. BROCK: First of all, the blue dye is  
20 not a GRAS material. It's an approved food  
21 additive. There's a distinction between that.  
22 With respect to whether it's been tested in

1 adolescents, that's a clinical question, so I'm  
2 going to defer to Dr. Dart or Dr. Sellers to that  
3 question. I have no toxicity data in juvenile  
4 animals with that material.

5 DR. GALINKIN: And the other excipients,  
6 because there is others.

7 DR. BROCK: SLS I believe is also approved  
8 as a food additive. There are oral toxicity data  
9 with that material out to 2 years. No real  
10 systemic toxicity, not even GI toxicity, was  
11 reported with that material.

12 The other excipient -- there are lots of  
13 excipients here. The one that's of interest  
14 probably to this committee is polyethylene oxide or  
15 polyethylene glycol. With the PEO that's used in  
16 this particular drug product, there are some oral  
17 tox data with that material.

18 Once again, it is not very well absorbed  
19 from the GI tract, given its molecular weight. But  
20 there was no systemic toxicity seen in experimental  
21 animals principally because it was poorly absorbed  
22 from the GI tract.



1           Is there another excipient you'd like? Let  
2 me call on Dr. Dart.

3           DR. DART: In terms of the blue dye, I don't  
4 think there are formal in that age group  
5 specifically, of 11 to 18, but this dye is in  
6 products that that age group consumes in large  
7 volumes, for a long time, often after sporting  
8 events or that type of thing.

9           Does that answer the question? But I'm not  
10 aware of any formal toxicity testing in that age  
11 group for the dye.

12          DR. BROWN: Dr. Zeltzer?

13          DR. ZELTZER: Thank you. The same  
14 individual --

15          DR. GALINKIN: I had two other questions  
16 that were listed. I had the question about the  
17 drug liking and then also if the blue substance was  
18 actually tested alone.

19          DR. BROWN: I'm sorry.

20          DR. ODIDI: Again, the blue substance wasn't  
21 tested alone. It's incorporated in a homogeneous  
22 mixture in the core.

1 DR. GALINKIN: That homogeneous mixture in  
2 the core, since it's high concentration of  
3 oxycodone, was it tested by itself?

4 DR. ODIDI: During the attempts of what sort  
5 are you talking about? Tell me what properties,  
6 please. In the manufacturing process, you have the  
7 core made and then that core was tested.

8 DR. HERTZ: So let me help once again. It's  
9 Sharon Hertz. The question I believe is were there  
10 any studies about the ability to extract, or  
11 manipulate, or liking studies -- is that right,  
12 Jeff -- with the material that has blue dye in it,  
13 in the absence of the outer shell layers? Were any  
14 studies of any sort done with that?

15 DR. ODIDI: No liking studies were done.

16 DR. BROWN: Dr. Zeltzer?

17 DR. ZELTZER: Thank you, Lonnie Zeltzer. I  
18 guess, first because you're talking  
19 about -- really, Jeff asked part of this question.  
20 In a younger age group, going down to 11, some will  
21 be prepubescent, some will be post-pubescent. And  
22 obviously, body size and composition will be

1 different even within that range.

2 Are there any data on the effect of blue  
3 dye, even as a food additive, separate from this  
4 product in relation to risk by pubertal status or  
5 by body mass?

6 I guess a corollary question is, just as  
7 some food dyes, like red food dye or yellow, in a  
8 subpopulation are found to have allergenic,  
9 anaphylactic like risk, are there any studies on,  
10 just in general, the blue dye that's being used,  
11 given that it's a food additive, but in terms of  
12 anaphylactic risk?

13 DR. ODIDI: I would like to call on Dr. Dart  
14 to help answer the question.

15 DR. DART: Because it is a food additive, I  
16 guess I expect that there were some studies, but we  
17 didn't prepare that information, so I don't have  
18 it. The product I was referring to, since you can  
19 just Google it, if you Google, is Gatorade. So I  
20 forget which flavor it is. Isa, you may know, but  
21 one of the -- it's here.

22 Blueberry pomegranate Gatorade contains

1 this. So while we don't have safety data that I  
2 know, except that it's a safe food additive, it is  
3 a regularly consumed drug in that age group -- I'm  
4 sorry, product, food in that age group. I think  
5 that's all we have.

6 DR. ODIDI: Correct.

7 DR. BROWN: Dr. Zacharoff?

8 DR. ZACHAROFF: Kevin Zacharoff. These  
9 questions are regarding Dr. Setnik's presentation  
10 on slide CO-31 with respect to the dose  
11 proportionality design study. Just a few questions  
12 there with respect to why only males?

13 DR. SETNIK: Yes. These studies were  
14 conducted in Jordan, and the population was male  
15 only. Now, the bioequivalency studies that were  
16 conducted at 80 and 10 milligrams in fed and fasted  
17 were conducted in both healthy males and females,  
18 representing about two-thirds female. So there's  
19 data to support the bioequivalence and the food  
20 effect.

21 DR. ZACHAROFF: Okay. And you mentioned 22  
22 subjects completed. Were there a significant

1 number of subjects that did not complete?

2 DR. SETNIK: Yes, there were. And the  
3 primary reasons for withdrawal at that point were  
4 due to nausea and vomiting, half of which were due  
5 to the naltrexone dose, and the other half were  
6 from when they received OxyContin. So they were  
7 removed from the analysis, and it was still powered  
8 to support the analysis based on the 22.

9 DR. ZACHAROFF: One last question along this  
10 line and sort of tied to what Dr. Zeltzer  
11 mentioned. Was there any standardization for body  
12 mass, boy weight, fat distribution in any of the  
13 subjects to investigate whether or not those things  
14 might impact dose proportionality?

15 DR. SETNIK: Not that I am aware of. The  
16 whole population of the completer population was  
17 analyzed.

18 DR. ZACHAROFF: Thank you.

19 DR. BROWN: Dr. Nelson?

20 DR. NELSON: Thank you. Lewis Nelson. I  
21 have two questions. One back for Dr. Setnik, I  
22 think, if that's okay. Dr. Cone presented data, I

1 believe, on the particle size after grinding or  
2 otherwise mechanical disruption and some  
3 dissolution data. And I wondered, rather than hear  
4 specifically about dissolution data, if there was  
5 pharmacokinetic data on what happens in people when  
6 they ingest the round particles as opposed to what  
7 happens in an in vitro system. Are the  
8 pharmacokinetics altered?

9 In particular, his data compared your oxy,  
10 IPC Oxy, with OxyContin in a crushed form. Is  
11 there data comparing IPC Oxy whole versus crushed  
12 and what the disruption of the abuse-deterrent  
13 mechanism does in terms of the pharmacokinetics?

14 DR. ODIDI: Those studies have not been  
15 conducted as of now, comparing crushed OxyContin to  
16 crushed IPC Oxy in terms of trying to understand  
17 their pharmacokinetic profiles.

18 DR. NELSON: What about crushed IPC to whole  
19 IPC?

20 DR. ODIDI: Those studies haven't been done  
21 in humans. No human studies have been done yet.

22 DR. NELSON: Okay. And how about in the

1 dissolution version? Has it been compared to  
2 crushed versus the whole?

3 DR. ODIDI: IPC, sure.

4 DR. NELSON: For IPC.

5 DR. ODIDI: Yes. For IPC, that's been done,  
6 and I would call on Dr. Cone to talk about that.

7 DR. CONE: Yes. I'll start with CD-9. We  
8 may have other slides that are pertinent. This is  
9 a dissolution of IPC Oxy, form A relative to  
10 form B. And you can see there's some speeding up  
11 of release with the form B, but it's very similar.

12 DR. NELSON: So form A is whole, I assume?

13 DR. CONE: Sorry?

14 DR. NELSON: Form A is whole, is a whole  
15 pill? In other words, is it whole versus crushed?  
16 What is it? I'm not sure I recall, or are these  
17 two different crushed ones?

18 DR. CONE: You would need to refer to your  
19 coating system. I can't divulge it in public.

20 DR. HERTZ: Hi. This is Dr. Hertz. So the  
21 question is can the sponsor provide data on whether  
22 there is information for crushed versus whole? Are

1 you able or willing to do that? I mean, that's the  
2 question.

3 DR. ODIDI: Yes. They asked for the  
4 dissolution data, which has just been provided.  
5 But I think what Dr. Cone is saying is that these  
6 are blinded and you have the cheat sheet to tell  
7 what form it is. This is a public open hearing.  
8 We don't want to --

9 DR. HERTZ: Right, but we're not asking  
10 about the specific conditions under which  
11 manipulation were conducted. That's what's  
12 proprietary. The question is, can you provide any  
13 data on the relative dissolution of intact versus  
14 some manner of crushed, presumably the method of  
15 crushing that produced the greatest disruption?  
16 That's the question.

17 DR. ODIDI: Yes.

18 DR. DART: I can partially clarify. Form A  
19 was intact.

20 DR. NELSON: Okay. Thank you.

21 If I could ask Dr. Dart a question. The  
22 data you presented from Cicero's paper that showed



1       that patients or users who were being admitted for  
2       treatment for addiction and abuse had indicated  
3       they switched -- we can look up what slide it is.  
4       I think it is CO-22. They switched from snorting  
5       to swallowing whole, which is presumably what could  
6       happen here as well.

7               There is also data in that paper that talks  
8       about switching to other drugs altogether. Could  
9       you just clarify a little bit about what those  
10      other drugs were and what the implications were?

11             DR. DART: I don't remember the precise  
12      percentages, but they switched to a variety of  
13      other opioids, including illicit opioids. So there  
14      was a whole spectrum, and I think the heroin might  
15      have been the highest percentage one if I recall  
16      correctly.

17             DR. NELSON: Thank you.

18             DR. BROWN: Dr. Warholak?

19             DR. WARHOLAK: Hi. This is Terri Warholak.  
20      The first question I have is I think for Dr. Brock.  
21      Again, with slide CO-9, I think we've asked the  
22      question a couple of times, but I just want to

1 clarify.

2 It's stated here that limited data suggests  
3 excipients are safe when taken by non-intended  
4 routes. Did you bring those data with you, and if  
5 so, can we see them?

6 DR. BROCK: The data that's being referred  
7 to here are published data. I'm pretty sure I have  
8 all of those publications with me, and they can be  
9 provided to the agency.

10 DR. WARHOLAK: Then I have a couple other  
11 questions of Dr. Cone. So did you test  
12 syringeability of IPC Oxy after taking off the  
13 coating? Why or why not? And if you did, what did  
14 you find?

15 DR. CONE: I'm sorry. I didn't completely  
16 understand the question.

17 DR. WARHOLAK: The outer coatings, did you  
18 test the syringeability by first taking off the  
19 outer coatings, and then dissolving the tablet, and  
20 then testing syringeability? Was that done? And  
21 if so, why not?

22 DR. CONE: We, let me start off by saying

1 the outer coating is easily removable with a wet  
2 paper towel. Once you do that, you have an  
3 insoluble coating that you can't wipe off. I  
4 suppose you could do other things, but it's not  
5 easy.

6 So when we tested in syringeability, both  
7 for the intact condition, the water-soluble coating  
8 comes off real quickly anyway. So no, we didn't do  
9 exactly what you said. We didn't remove the  
10 coating because it comes off in a few seconds.

11 DR. ODIDI: If you don't mind, sorry to  
12 interrupt. I just wanted to clarify that a little  
13 bit for you as the design of the product, I guess.

14 There are several coatings. From the closed  
15 session, I did take you through several coatings  
16 over there. The coatings that Dr. Cone is  
17 referring to that you can wash off easy is esthetic  
18 coating. And there are different esthetic coatings  
19 on all the strengths to help patients identify the  
20 color on them.

21 Those coatings just come off like you're  
22 soaking on jelly. But after that coat comes off,

1       which is a non-functional coat, the remaining coats  
2       are pretty tight the way they're put in there. And  
3       that's what he refers to as not soluble. That's  
4       going to be very difficult to dissolve or wash away  
5       instantaneously. Of course, you can always take  
6       things off if you work hard enough.

7               DR. CONE: Just to add to that, under the  
8       insoluble coating is all of the API and the  
9       excipients we've been discussing.

10              DR. WARHOLAK: May I ask one more real  
11       quick?

12              DR. BROWN: Can we stop here and take a  
13       10-minute break, and come back at maybe 11:05? We  
14       will get to the rest of the questions from the  
15       panel after we hear a few more presentations, and  
16       we will get to every question before we begin to  
17       vote.

18              But if we could take a break now. Panel  
19       members, please remember that there should be no  
20       discussion of the meeting topic during the break  
21       amongst yourselves or with any member of the  
22       audience. We'll resume at 11:05.

1           (Whereupon, at 10:52 a.m., a brief recess  
2 was taken.)

3           DR. BROWN: Let's migrate back to our seats  
4 so that we can get started. We are now going to  
5 proceed with the presentations from the Food and  
6 Drug Administration.

7                           **FDA Presentation - James Tolliver**

8           DR. TOLLIVER: My name is James Tolliver.  
9 I'm a pharmacologist with the controlled substance  
10 staff within the Office of the Center Director,  
11 Center for Drug Evaluation and Research at the FDA.  
12 This morning, I'd like to briefly discuss the need  
13 for oral and intranasal human abuse potential  
14 studies for the pre-market abuse deterrent  
15 assessment for NDA 209-653.

16                           Intellipharmaeueutics Corporation, the  
17 sponsor for this application, is seeking  
18 intravenous abuse-deterrent claims for oxycodone  
19 hydrochloride ER tablets. In support of this  
20 application, sponsors submitted category 1 physical  
21 manipulation and chemical extraction studies, but  
22 no category 2, 3, oral, or intranasal human abuse

1 potential studies evaluating intact and manipulated  
2 product.

3 It should be noted that on page 8 of the  
4 sponsor's open session background document, sponsor  
5 did acknowledge the need to complete human abuse  
6 potential studies in order to obtain abuse-  
7 deterrent claims for the oral and intranasal routes  
8 of administration.

9 Furthermore, on page 51 of the same  
10 document, sponsor notes that these studies will be  
11 completed postmarketing and submitted under a  
12 supplement NDA 209-653 to obtain abuse-deterrent  
13 labeling for oral and intranasal routes of abuse.

14 The 2015 final guidance for industry abuse-  
15 deterrent opioids evaluation and labeling document  
16 notes the need to understand the potential for  
17 abuse of abuse-deterrent formulations by multiple  
18 routes of administration such as oral, intranasal,  
19 and intravenous. This allows for better  
20 understanding of how the formulation may be abused  
21 following approval.

22 Furthermore, this document discusses in

1 detail the conducting of category 2 and 3 clinical  
2 studies as part of the overall abuse-deterrent  
3 assessment. These studies provide information that  
4 cannot be gleaned from category 1 studies.

5 Category 2 studies focus on the effects of  
6 product manipulation on pharmacokinetic parameters  
7 of the active pharmaceutical ingredient of the  
8 formulation. Subjective reinforcing effects are  
9 not generally collected, while other effects such  
10 as nasal tolerability data may be obtained.

11 Category 3 studies focus on the effects of  
12 manipulation on subjective reinforcing effects as  
13 well as generally including collection of  
14 pharmacokinetic data on the API for the  
15 formulation.

16 Again, other pharmacodynamic data such as  
17 nasal tolerability may be obtained. The totality  
18 of data collected from the category 1, 2, and 3  
19 studies obtained during the pre-market assessment  
20 is to be considered in allowing abuse-deterrent  
21 claims in the label with respect to oral and  
22 intranasal abuse.

1           One important function of category 1 studies  
2 is to assist in developing protocols for the  
3 category 2 and 3 studies, particularly with respect  
4 to selecting the proper manipulations for the test  
5 formulation and positive control.

6           It should be noted that oxycodone  
7 hydrochloride ER tablets are under development at  
8 dosage strengths ranging from 10 milligrams to  
9 80 milligrams of oxycodone hydrochloride with  
10 compromise of the extended-release of the oxycodone  
11 hydrochloride. Due to one or more types of  
12 physical or chemical manipulation, considerable  
13 amounts of oxycodone hydrochloride may become  
14 immediately available for purposes of oral or  
15 intranasal abuse.

16           If in the course of conducting category 1  
17 physical and chemical manipulation studies,  
18 oxycodone hydrochloride ER tablets demonstrate  
19 susceptibility to particle-size reduction and  
20 compromise of the controlled release properties for  
21 oxycodone hydrochloride. And the potential exists  
22 for the abuse of the manipulated product by oral



1 and intranasal routes. Category 2, 3 studies, even  
2 abuse potential studies, are warranted.

3 Category 2 and 3 clinical studies provide  
4 important information that cannot be gleaned from  
5 category 1 studies alone. These studies allow for  
6 the examination of the effects of manipulation and  
7 routes of administration of a drug product in the  
8 non-dependent opioid-experienced population, on the  
9 pharmacokinetic parameters of the API of the  
10 formulation, and on subjective reinforcing effects  
11 such as drug liking, high, take drug again, and  
12 overall drug liking.

13 They allow for assessing food effects on the  
14 pharmacokinetics of the APIs and on subjective  
15 reinforcing effects following oral administration  
16 of abuse-deterrent formulations. Furthermore, they  
17 allow for determination of the percentage of a  
18 dose-manipulated product that individual study  
19 subjects are able to insufflate.

20 For each subject, this percentage can be  
21 further correlated to subjective reinforcing  
22 effects. Experience is demonstrated that strong

1 subjective reinforcing effects can be documented in  
2 subjects who are able to insufflate only a fraction  
3 of the total manipulated dose for opioid products.

4 Additional information obtained includes the  
5 effects of particle size on pharmacokinetics of the  
6 API of the formulation and on subjective  
7 reinforcing effects following insufflation. For  
8 example, fine versus coarse particle size produced  
9 by different manipulations may be compared.

10 These studies also allow for investigator-  
11 and subject-rated assessments of nasal tolerability  
12 as a function of time following insufflation of  
13 manipulated products. This would include  
14 evaluation of nasal irritants intended to serve as  
15 aversive agents to deter intranasal abuse. In such  
16 studies, nasal tolerability scores may be  
17 correlated to changes in ratings of subjective  
18 reinforcing effects as a function of time.

19 Currently, the sponsor has not submitted to  
20 the agency any study reports examining the possible  
21 oral or intranasal abuse-deterrent effects  
22 attributable to the dye present in the formulation.

1 Later today, you will have the opportunity to  
2 discuss possible approaches to this new area of  
3 research.

4 In conclusion, in support of a deterrent  
5 claims to intravenous abuse for oxycodone  
6 hydrochloride ER tablets under NDA 209-653,  
7 category 1 physical manipulation and chemical  
8 extraction studies were provided.

9 According to the 2015 guidance for industry,  
10 abuse-deterrent opioids evaluation of labeling  
11 document, the pre-market abuse-deterrent assessment  
12 should cover multiple routes of administration such  
13 as oral, intranasal, and intravenous.

14 Category 2 and 3 studies provide important  
15 information for the evaluation of abuse-deterrent  
16 formulations with respect to oral and intranasal  
17 abuse that cannot be derived from category 1  
18 studies alone. These studies have yet to be  
19 provided with respect to oxycodone hydrochloride ER  
20 tablets.

21 Finally, the totality of data generated from  
22 category 1, 2, and 3 studies will be necessary to

1 assess the deterrent effects of oxycodone  
2 hydrochloride ER tablets to the oral and intranasal  
3 routes of abuse. Thank you.

4 I would now like to introduce Dr. Jennie  
5 Wong, who will discuss the utilization trends of  
6 oxycodone ER and other ER/LA opioid analgesics.

7 **FDA Presentation - Jennie Wong**

8 DR. WONG: Hi. Good morning. My name is  
9 Jennie Wong. I am a drug utilization analyst in  
10 the Division of Epidemiology in the Office of  
11 Surveillance and Epidemiology. I will be  
12 presenting drug utilization trends for oxycodone ER  
13 and other extended-release long-acting opioid  
14 analgesic, or otherwise known as ER/LAs, from 2012  
15 through 2016 to provide context for today's  
16 discussion.

17 The focus of this presentation is to show  
18 recent drug use patterns of oxycodone ER in the  
19 outpatient retail market. The outline of the  
20 presentation will be as follows. First, I will  
21 discuss national sales distribution of oxycodone  
22 extended-release products followed by prescription

1 utilization of selected opioid analgesic products  
2 in the outpatient retail setting.

3 I will then present our findings on top  
4 prescriber specialties as well as diagnoses  
5 associated with the use of oxycodone ER and will  
6 end my talk with limitations and summary of  
7 findings.

8 Our analyses focused on oxycodone ER  
9 products and also included other ER/LA opioid  
10 analgesic products to provide context for the  
11 utilization of oxycodone ER within the ER/LA opioid  
12 analgesic market. Additionally, immediate-release  
13 oxycodone was also included to provide  
14 comprehensive analyses of oxycodone utilization.

15 Before going into the utilization analyses,  
16 I would like to point out opioid products approved  
17 by the FDA with properties designed to deter abuse.  
18 For brevity, I will use the term ADF for the rest  
19 of my presentation to reference to these products.

20 Currently, there are 10 opioid products  
21 approved of properties designed to deter abuse. Of  
22 these, 4 are extended-release oxycodone-containing

1 products. Currently, only 2 of these products,  
2 OxyContin and Xtampza, are being marketed.  
3 Targiniq and Troxyca have been approved, but are  
4 not on the market yet.

5 For today's discussion, we focus our  
6 analyses on the outpatient retail setting, which is  
7 the primary setting of care of where oxycodone ER  
8 analgesic products are sold from manufacturers to  
9 various channels to various channels of  
10 distribution in the U.S. To conduct these  
11 analyses, we use several different databases. I  
12 will describe each of those databases briefly  
13 before presenting the results of each analysis.

14 To obtain the prescription utilization data,  
15 we used the quintiles IMS Health National  
16 Prescription Audit Database, which measures the  
17 volume of prescriptions from retail pharmacies into  
18 the hands of patients via formal prescriptions in  
19 the U.S. The data are nationally projected and can  
20 also be stratified by prescriber specialty.

21 This figure shows the nationally estimated  
22 number of dispensed prescriptions for single-

1 ingredient oxycodone from U.S. outpatient retail  
2 pharmacies. Shown on the green line, prescriptions  
3 dispensed for oxycodone ER decreased 23 percent  
4 from approximately 5.1 million prescriptions in  
5 2012 through 3.9 million prescriptions in 2016.  
6 During the same time period, prescriptions  
7 dispensed for single-ingredient oxycodone IR  
8 products increased 26 percent from approximately  
9 14.1 million prescriptions in 2012 to 17.8 million  
10 prescriptions in 2016.

11 Focusing on ER/LA opioid analgesic products,  
12 this graph displays the nationally estimated number  
13 of dispensed prescriptions for ER/LA opioid  
14 analgesics from U.S. outpatient retail pharmacies.  
15 As seen in the previous graph, the utilization of  
16 oxycodone ER products decreased over the study  
17 period. Utilization of other ER/LA products such  
18 as morphine ER and transdermal fentanyl has  
19 remained fairly steady while utilization of other  
20 products such as methadone has declined.

21 In 2016, oxycodone ER, as shown in the  
22 figure as a dotted green line, accounted for the

1 third-most utilized of the ER/LA opioid products in  
2 the outpatient retail market. This figure shows  
3 the nationally estimated number of dispensed  
4 prescriptions for ADF opioid analgesic products.  
5 Prescriptions dispensed for ADF products decreased  
6 from approximately 5.1 million prescriptions to  
7 4.3 million prescriptions in the outpatient retail  
8 setting.

9 Of the total ADF prescriptions, the vast  
10 majority of prescriptions were dispensed for  
11 reformulated oxycodone ER, as shown by the blue  
12 line, throughout the examined time period. As  
13 noted in the earlier slide, there are a number of  
14 opioid products that have been approved by the FDA  
15 with properties designed to deter abuse. However,  
16 quite a few of them are not in the market during  
17 the study period.

18 We now move on to prescriber specialty data  
19 for single-ingredient oxycodone ER products. Based  
20 on dispensed prescription data for 2016, primary  
21 care provider such as family practice, general  
22 practice, and internal medicine were the top



1 prescribers for single-ingredient oxycodone ER  
2 processed at 26 percent of dispensed prescriptions  
3 followed by mid-level practitioners at 23 percent  
4 and anesthesiologists and osteopathic medicine  
5 doctors at 11 percent each.

6 Now, we will transition to our analyses of  
7 diagnoses associated with the use of oxycodone ER.  
8 To determine this, we use a database that contains  
9 data from monthly surveys of 3,200 office-based  
10 physicians representing 30 different specialties  
11 across the U.S. who report on all patient activity  
12 during one typical workday per month. These data  
13 are nationally projected by physician specialty and  
14 region and are helpful in characterizing the use of  
15 drug products in clinical practice.

16 Based on physician survey data, the top  
17 group of diagnoses associated with the mentions of  
18 single-ingredient oxycodone ER products reported  
19 were for conditions related to disease of the  
20 musculoskeletal system and connective tissue such  
21 as back pain or knee pain. This was followed by  
22 conditions related to disease of the nervous system

1 such as hereditary or idiopathic neuropathy  
2 followed by pain related to neoplasms.

3 As with all studies, there are limitations.  
4 Only outpatient utilization was assessed. No  
5 inpatient or mail order data were included in the  
6 prescription analyses. However, this setting  
7 accounted for the majority of utilization and may  
8 have less healthcare provider oversight than  
9 utilization in other settings such as inpatient or  
10 nursing homes.

11 Diagnoses data are not linked to dispensed  
12 prescription data. Rather, the data presented were  
13 mentions of a drug at a physician visit based on  
14 survey data of a sample of physicians. The  
15 diagnoses data were derived from surveys of office-  
16 based physicians and may not have captured  
17 prescribing patterns of physicians who practice in  
18 other settings of care such as hospice care, pain,  
19 cancer, or urgent care clinics. Our findings do  
20 not include data from prescribers such as dentists  
21 and mid-level practitioners.

22 In summary, outpatient retail utilization of

1       oxycodone ER products decreased while prescriptions  
2       dispensed for oxycodone IR products increased in  
3       the examined time period. Oxycodone ER products  
4       were the third most-frequently dispensed among the  
5       ER/LA opioids in 2016.

6               Of the ADF opioid products, the majority  
7       found in the market are extended-release oxycodone  
8       products. Please note that although FDA has  
9       approved 10 ADF opioid products, over half are not  
10      marketed.

11              The top prescribers of oxycodone ER were  
12      primary care providers followed by mid-level  
13      practitioners. The top group of diagnoses  
14      associated with the use of oxycodone ER were  
15      conditions related to disease of the  
16      musculoskeletal system and connective tissue.

17              That concludes my presentation. Thank you.  
18      Now, I will turn it over to Dr. Fields, who will be  
19      presenting on excipients and oral opioid analgesics  
20      and IV abuse.

21                              **FDA Presentation - Ellen Fields**

22              DR. FIELDS: Good morning. Today, I am

1 going to give a brief presentation on the  
2 regulatory aspect of excipients in opioid  
3 analgesics intended for oral administration and how  
4 these relate to abuse of these products by the non-  
5 oral routes. And I expect my talk to raise more  
6 questions than give answers.

7 At previous advisory committee meetings, we  
8 heard from some committee members about concerns  
9 regarding the safety of excipients in oral opioid  
10 analgesics that are abused by non-oral routes. As  
11 you know, there have been unintended consequences  
12 of abuse-deterrent formulations that have had  
13 negative effects on the public health such as the  
14 recent experience of Opana ER and the TTP-like  
15 illness in persons abusing it by the IV route.

16 I will review the major excipients in  
17 oxycodone ER tablets as previously presented by the  
18 sponsor, then discuss the agency requirements for  
19 the assessment of excipients in drug products. I  
20 will talk about labeling with regards to excipients  
21 and unintended routes of administration. And I  
22 will conclude my talk with a brief mention of

1 trends in IV abuse of prescription opioids.

2 As the sponsor noted, oxycodone ER tablets  
3 are formulated to resist chemical extraction, dose  
4 dumping with alcohol to form a viscous hydrogel  
5 when in contact with an aqueous environment and to  
6 deter nasal and IV abuse.

7 They stated that the excipients intended to  
8 convey the abuse-deterrent properties include  
9 polyethylene oxide, which is a gelling agent,  
10 sodium lauryl sulfate, which is a nasal irritant,  
11 and a blue dye that stains skin and clothing.  
12 There are numerous other excipients in the  
13 formulation that are proprietary.

14 As stated in chapter 3 of the USP,  
15 excipients in all drug products should have a  
16 specified intended purpose. Excipients that do not  
17 serve a specific purpose for a product only result  
18 in unnecessary exposure to the materials. We are  
19 still reviewing the safety of the excipients of  
20 oxycodone extended-release tablets for the intended  
21 oral route.

22 The current formulation contains excipients

1 that may present risks by parenteral routes of  
2 administration, and parenteral administration of  
3 excipients extracted from the product could result  
4 in adverse local and potentially systemic effects.

5 As per FDA guidance for industry non-  
6 clinical studies for the safety evaluation of  
7 pharmaceutical excipients, excipients in drug  
8 products are assessed for safety for the intended  
9 routes of administration.

10 According to USP chapter 1, drugs intended  
11 for parenteral administration cannot contain  
12 coloring agents such as dyes. That said, the  
13 agency does not require that oral drug product  
14 excipients be assessed for safety for intravenous  
15 and other unintended routes of administration.

16 Now, I'll move to labeling. We have  
17 routinely included labeling language specific to  
18 risks of abusing a drug with regard to excipients  
19 and disease transmission in section 9.2 of the  
20 label, which is the abuse section, under the  
21 heading Risks Specific to Trade Name.

22 A number of opioid products contain

1 excipients with known risks in the setting of  
2 parenteral abuse. Many products contain talc as an  
3 excipient, and it has been known since the late  
4 1970s that injection of talc is associated with  
5 serious risks.

6 For example, MS Contin and Kadian are  
7 examples of opioids that have labeling regarding  
8 talc, and the labeling is as follows. Due to the  
9 presence of talc as one of the excipients,  
10 parenteral abuse can be expected to result in local  
11 tissue necrosis, infection, pulmonary granulomas,  
12 et cetera. And there is also a sentence that talks  
13 about the transmission of infectious diseases.

14 Morphabond, which is a morphine sulfate  
15 extended-release tablet, and OxyContin, oxycodone  
16 extended-release tablets, have similar labeling  
17 regarding risks associated with the excipients in  
18 parenteral abuse as well as the spread of  
19 infectious disease. I'll let you read that.

20 Lastly, Opana ER, oxymorphone extended-  
21 release tablets, has been shown to have specific  
22 risks associated with abuse by the IV route due to

1 its formulation. I'll let you read the wording for  
2 the Opana ER risks that are specific to that  
3 formulation. That part of the label also includes  
4 the warning about infectious diseases.

5 Things we know. Just saying excipients are  
6 in the solution doesn't appear to deter abuse.  
7 People inject solutions made from products intended  
8 for oral use even when labeled as dangerous to do  
9 so. And people who abuse opioids over time often  
10 move from less dangerous routes, such as oral  
11 abuse, to more dangerous routes such as injection.

12 An injection may be the most dangerous route  
13 of abuse as it is associated with substantial  
14 health consequences such as injection-related  
15 endocarditis, infectious disease transmission,  
16 increased risk of overdose, emergency department  
17 visits, and death.

18 A recent publication in the Journal of Drug  
19 and Alcohol Dependence presents a study that  
20 assesses trends in treatment admissions, reporting  
21 oral injection, smoking, and inhalation abuse of  
22 prescription opioids and examined the



1 characteristics associated with non-oral routes of  
2 prescription opioid abuse in the U.S.

3 Data are from over 19 million treatment  
4 admissions reported in the 2004 through 2013  
5 treatment episode dataset, or TEDS, public use  
6 files. TEDS, reported annually by the Substance  
7 Abuse and Mental Health services administration,  
8 provides demographic and substance-use  
9 characteristics of substance-use treatment  
10 admission among people 12 years of age or older to  
11 state-licensed or certified substance abuse  
12 treatment centers that receive federal public  
13 funding.

14 TEDS represents a compilation of data  
15 collected through individual data collection  
16 systems of the state agencies for substance-use  
17 treatment. The data are publicly available and  
18 primarily include substance-use and demographic  
19 measures.

20 Prescription opioid abuse treatment  
21 admissions for 2004 to 2013 were used to calculate  
22 counts and percentages of prescription opioid

1 treatment admissions, reporting oral injection or  
2 smoking and inhalation abuse.

3 In this figure, the black lowest section of  
4 the bar, each bar, represents oral abuse. The dark  
5 gray middle sections represent smoking or  
6 inhalation, and the light gray sections at the top  
7 represent abuse by injection. From 2004 to 2013,  
8 oral abuse decreased from 73.1 percent to 58.9  
9 percent. Injection abuse increased from 11.7 to 18  
10 percent, and smoking and inhalation abuse increased  
11 from 15 to 23 percent.

12 This study found large shifts from oral  
13 abuse of prescription opioids towards non-oral  
14 routes of abuse among admissions to substance-use  
15 treatment during the time period assessed. The  
16 largest percentage change occurred among treatment  
17 admissions reporting injection as their usual route  
18 of prescription opioid abuse, increasing from  
19 11.7 percent of primary prescription opioid abuse  
20 admissions in 2004 to 18.1 percent of admissions in  
21 2013, a 55 percent relative increase.

22 There are some limitations to the study.

1 First, TEDS comprises a significant proportion of  
2 all admissions to substance abuse treatment in the  
3 U.S., however, it does not capture all admissions.  
4 Second, the primary, secondary, and tertiary  
5 substances of abuse reported to TEDS are those  
6 substances that led to the treatment episode and  
7 not necessarily a complete enumeration of all drugs  
8 used at the time of admission.

9 Third, in many states, TEDS data may include  
10 multiple admissions for the same patient. But  
11 despite these limitations, this appears to be the  
12 first study to examine in-depth national-level  
13 trends and characteristics associated with non-oral  
14 routes of prescription opioid abuse.

15 In summary, excipients in drug products are  
16 assessed for safety only for the intended routes of  
17 administration. It is reasonable to believe that  
18 abuse of oxycodone ER tablets via intravenous  
19 administration of extracted materials could result  
20 in adverse local and potentially systemic effects.

21 Opioid analgesic labels include risks of  
22 misuse and abuse with regard to excipients, but

1 this has not effectively mitigated these risks, and  
2 rates of abuse via injection of prescription  
3 opioids have increased.

4 The agency needs to consider how to approach  
5 the issue of excipients, particularly as abuse-  
6 deterrent formulations of opioid analgesics, may  
7 increase the opportunity of exposure to new  
8 excipients with unknown and unintended  
9 consequences.

10 I'd like to make two more additional  
11 comments. First, references to the use of dyes in  
12 food, including drinks, as evidence of safety is  
13 potentially misleading with regard to non-oral  
14 routes and with regard to the relative amount.

15 For example, blue dye in Gatorade, by a  
16 quick Google search, is approximately 1 to 10  
17 milligrams per liter, which may not be relevant to  
18 this product. And second, it is also important to  
19 understand that not all blue dyes may be the same  
20 with regard to the many compounds that they are  
21 made of, including metals that may be present in  
22 the dyes.

1 I think next is Dr. Dasgupta, our guest  
2 speaker, or do we have questions?

3 **Clarifying Questions**

4 DR. BROWN: Dr. Fields, we're going to allow  
5 the panel to ask some questions of the FDA and then  
6 move on to Dr. Dasgupta.

7 If there are any questions to clarify the  
8 presentations that you just heard from the FDA,  
9 let's entertain those at this point. We'll come  
10 back to questions for the presenters earlier this  
11 morning a little bit later.

12 Any questions? Ron?

13 DR. LITMAN: Ron Litman. This question is  
14 for Dr. Wong. I'm trying to figure out from the  
15 great slides that you presented and the data that  
16 you called from Quintiles INS if the percent of  
17 ADFs are rising or not. It appears I have to  
18 combine slides 7 and 9.

19 Slide 9 shows that from 2012 to 2016, the  
20 oxycodone prescriptions have gone down. Maybe I'm  
21 looking at the answer right here. These are abuse-  
22 deterrent labeling prescriptions, but how does that

1 compare relative to slide 7? Are we to assume that  
2 all prescriptions for oxycodone were abuse-  
3 deterrent labeled from 2012?

4 DR. WONG: Yes. For that particular slide,  
5 we included ADF and ADF single-ingredient  
6 oxycodone.

7 DR. LITMAN: Slide 7 is both?

8 DR. WONG: Yes, slide 7 is both. And then  
9 the one inside 9 only includes the ADF products.

10 DR. LITMAN: So I am trying to understand,  
11 the ratio of ADF to non-ADF oxycodone, has that  
12 been going up, down, or the same? I just can't  
13 tell looking at these slides.

14 DR. HERTZ: This is Sharon Hertz. Perhaps I  
15 can help with that question. I believe that most  
16 of the oxycodone extended-release market is still  
17 predominantly OxyContin.

18 DR. McCANN: Yes

19 DR. LITMAN: Non-ADF?

20 DR. HERTZ: No, ADF, yes, because  
21 [inaudible] switched it over.

22 DR. LITMAN: From what year was that?

1 DR. WONG: I believe it was 2010 that they  
2 did that, and it was April 2010.

3 DR. HERTZ: Let me just follow up with a  
4 question. Do we have any information about the  
5 other marketed extended-release oxycodone Xtampza  
6 in terms of numbers? Were we able to get that from  
7 IMS?

8 DR. WONG: Yes. I believe it's in the  
9 background or we have a table in there with the  
10 prescription numbers for each of the ADF products.

11 DR. HERTZ: So it's still fairly small if  
12 you go back and look at that.

13 DR. LITMAN: I think that's helpful. So if  
14 you assume that, on slide 7, all oxycodone ER is  
15 ADF, that's actually been decreasing over the years  
16 for whatever the reason.

17 DR. WONG: Yes.

18 DR. LITMAN: Thank you.

19 DR. BROWN: Dr. Meisel?

20 DR. MEISEL: Steve Meisel, a question for  
21 Dr. Fields. On slide 4 -- call that up -- I just  
22 want to get some clarity about the first bullet

1 point here. It says excipients should have a  
2 specific intended purpose, and those that don't  
3 have a specific purpose have unnecessary exposure.

4 Does that mean that if there is an excipient  
5 that's got a purpose, but a purpose for which the  
6 applicant is not seeking approval at this time,  
7 does that mean that that particular excipient  
8 shouldn't be there, that that's a consideration for  
9 disqualification of that particular excipient?

10 DR. FIELDS: That's an excellent question.  
11 I think that's part of what we're going to be  
12 discussing when we ask you questions. This is not  
13 a law, so I believe it's one of the questions we're  
14 asking you during the discussion, and you can  
15 certainly have more discussion about it.

16 DR. MEISEL: Sure. I just wanted to  
17 understand whether that was a regulatory  
18 requirement or standard, or not.

19 DR. FIELDS: I mean, from our perspective, a  
20 product should have -- the excipients in the  
21 product need to have a purpose. There's no reason  
22 to expose patients to something that has no



1 purpose, either for them or for the public health,  
2 which is the abuse-deterrent nature of these drugs.  
3 We take in that consideration as well.

4 DR. MEISEL: Thank you.

5 DR. BROWN: Dr. Mendelson?

6 DR. MENDELSON: Yes. I'm surprised there's  
7 not more data available on the excipients, at least  
8 in the toxicity databases, with LD50 data and other  
9 parenteral administration, particularly for metals.  
10 I mean, there must be some recorded literature some  
11 place on aluminum toxicity when put into parenteral  
12 nutrition solutions. And I'm sure it's not good  
13 for you. I'm pretty sure it's not something you  
14 would seek to add to your TPN solution.

15 So I'm surprised there's not more toxicity  
16 data. Did you guys search for that or is that  
17 available?

18 DR. FIELDS: Some of the excipients that are  
19 in this product are proprietary and so can't really  
20 be discussed. But I will say that our  
21 toxicologists are certainly looking into the  
22 relevant data for anything that's in this product

1 and are reviewing it in terms of safety for both  
2 patients and safety in terms of the public health.  
3 And I did say in my talk that we are still  
4 reviewing that.

5 DR. MENDELSON: Do we have a dose in  
6 milligrams for the blue dye versus what was  
7 available in the Gatorade?

8 DR. FIELDS: The sponsor would have to  
9 respond to that.

10 DR. BROWN: Dr. Fields, Ellen, when you said  
11 that the agency is continuing to evaluate  
12 excipients, at what point will we have some  
13 understanding of the toxicities?

14 DR. FIELDS: The NDA is under review, so  
15 when we take our action, whatever that may be, you  
16 can look at the reviews.

17 DR. HERTZ: Part of the problem is that some  
18 of the proprietary information that we need to  
19 review with regard to the makeup -- you know, dye  
20 is not a substance. It's a number of different  
21 compounds that are mixed together, and it's usually  
22 a DMF, a drug master file. And that information is

1 not publicly releasable in terms of all the  
2 different information. It's proprietary.

3 So with regard to the current situation,  
4 we're still looking at the safety of the dye and  
5 the other excipients, the other proprietary pieces,  
6 with regard to the intended use.

7 So the unsatisfying answer is, we'll never  
8 be able to give you the details, but we will be  
9 doing that review, and that will be part of our  
10 risk and benefit assessment when we think about  
11 whether the product should be approved or not as  
12 part of the action that we take.

13 DR. BROWN: Dr. Zeltzer?

14 DR. ZELTZER: Thank you. As the FDA is  
15 reviewing, in this moving-forward period, the  
16 safety of excipients, is there any consideration to  
17 looking at not just adult weight and height, body  
18 composition, but also -- especially since you're  
19 going down to age 11 in a lot of these products or  
20 indication for age 11 and up, that the 11 to 16, 17  
21 is still a big group of individuals in terms of  
22 pubertal status and potential toxicity, developing

1 organs, et cetera.

2 Also, are there data within that age group,  
3 the data that were presented in terms of increasing  
4 abuse potential in the IV routes of non-intended IV  
5 medication for that adolescent, early adolescent,  
6 mid-adolescent age group?

7 So the question is, as you move forward in  
8 looking at this, is there consideration or planned  
9 consideration to looking at both pubertal status as  
10 well as body composition?

11 DR. FIELDS: We do take into consideration,  
12 and our toxicology reviews take into consideration,  
13 effects of excipients on pediatric patients. And  
14 if a drug is going to be approved in a pediatric  
15 group, there's often non-clinical juvenile animal  
16 studies and such that are conducted.

17 Does that answer your question?

18 DR. BROWN: Dr. Nelson?

19 DR. NELSON: Thanks, a question for Dr. Wong  
20 or Dr. Fields. As Dr. Hertz commented on at the  
21 beginning, we're talking about abuse-deterrent  
22 formulations, but obviously a big part of the

1 problem that we see in opioid use in the country  
2 involves non-abuse-related addiction.

3 You presented some data on treatment  
4 admissions in your talk, Dr. Fields, about  
5 excipients and Dr. Wong about utilization. Is  
6 there any sense of how we should approach this  
7 issue as a committee and try to look into the issue  
8 of addiction?

9 Do you have any data to show whether there's  
10 any role of ADFs or any of these new formulations  
11 in reducing the risk or increasing the risk and  
12 rate of addiction?

13 DR. HERTZ: As I cited in my intro, there is  
14 no reason to believe that these products or other  
15 products that are abuse deterrent, based on  
16 differences in the physical chemical behavior,  
17 would affect the risk of addiction from exposure to  
18 an opioid.

19 In a very broad sense, there's a lot of  
20 different avenues of evaluation of abuse-deterrent  
21 formulations, and some are actually trying to look  
22 at other aspects. Some are trying to limit the

1 exposure to the opioid if you take more than you're  
2 supposed to, even of the intact product, by mouth.

3 So the exposure may be limited, and that  
4 could potentially have some additional impact in  
5 terms of trying to ramp up or increase exposure.  
6 Some are also using other very novel and  
7 interesting approaches, some of which might  
8 actually impact the abuse liability of the active  
9 drug substance.

10 But if the active drug substance is a  
11 Schedule II opioid, and the formulation is not  
12 being reassessed because of the potential for  
13 lowering the scheduling and changing the scheduling  
14 to a different schedule, for instance Schedule III  
15 or IV, and if it's going to remain Schedule II,  
16 there's really no reason to believe that the  
17 exposure and the risk for addiction would change.

18 If it's Schedule II, it has the same risks  
19 as a Schedule II product. There may be some  
20 differences in risk across different opioids, but  
21 that's not really the question. For any given  
22 opioid in a formulation, for any one opioid, in

1 this case oxycodone, this product is delivering  
2 oxycodone. It will be in Schedule II. It will  
3 remain a product that could be associated with  
4 addiction.

5 DR. BROWN: Dr. Shoben?

6 DR. SHO BEN: Yes, just a quick question for  
7 Dr. Fields. On slide 13, when you were looking at  
8 routes of abuse among the patients entering  
9 treatment, that was for all opioids, not just the  
10 ER opioids. Correct?

11 DR. FIELDS: Yes. They did not  
12 differentiate between ER and IR.

13 DR. SHO BEN: Thank you.

14 DR. BROWN: Are there any other clarifying  
15 questions for the FDA prior to us moving along to  
16 our featured speaker?

17 (No response.)

18 DR. BROWN: If not, we will now proceed with  
19 the guest speaker presentation from Dr. Nabarun  
20 Dasgupta.

21 **Guest Speaker Presentation - Nabarun Dasgupta**

22 DR. DASGUPTA: Good morning. My name is

1 Nabarun Dasgupta, and I'm an epidemiologist at the  
2 University of North Carolina in Chapel Hill. I  
3 thank the agency for giving me the opportunity to  
4 speak today.

5           So you've heard my disclosure already, so I  
6 won't belabor that. Over the next 20 minutes, I  
7 want to give you an overview of what is  
8 historically known about excipient harm and  
9 tampering, followed by a deeper look at one salient  
10 example, and conclude finally with the framework of  
11 how we might conceptualize tampering and harms.

12           Let's start with a quick review of the  
13 history drawn primarily from the published peer-  
14 reviewed literature from the 1960s going forward.  
15 I could have started even earlier, actually. Talc  
16 granulomas were observed in patients who injected  
17 tablets as far back as 1933. The one citation on  
18 the next slide has a review of the earlier findings  
19 if you're interested.

20           It might be a surprise to start talking  
21 about Paregoric, which was still sold as a tincture  
22 of camphorated opium in the 1960s. The specific



1 report in this article involves a 32-year-old  
2 woman. The harm actually stems from the second  
3 component of Blue Velvet, namely the antihistamine,  
4 tripeleennamine.

5 Magnesium silicate, or commonly known as  
6 talc, was the excipient of concern in  
7 tripeleennamine. She had been injecting for nearly  
8 two years, but had been experiencing shortness of  
9 breath, cough, and lower extremity edema for  
10 3 months when she presented.

11 After 2 months in the hospital, she died at  
12 9:00 a.m. May 5, 1963. Despite her relatively  
13 young age, autopsy revealed pronounced  
14 atherosclerosis, and angio occlusive lesions, and  
15 pulmonary vasculature.

16 You can see in the image on this slide the  
17 dark and central mass is a cross-section of the  
18 accumulated and crystalline deposits in the  
19 pulmonary artery. In their discussion, the authors  
20 pointed out that the parenteral forms of  
21 tripeleennamine contained lactose as a bulking agent  
22 instead of talc, implying that parenterally safer

1       excipients may have prevented this death.

2               A point here in addition is that a single  
3       safer formulation, theoretically Paregoric, may  
4       become intimately linked with another less safe one  
5       based on the way that it's actually abused on the  
6       street, but the attributable harm is often  
7       described in terms of the combination of both  
8       products.

9               The second example comes from Texas in 1976.  
10       The x-ray here depicts the lung of a 33-year-old  
11       woman who had been injecting methamphetamine  
12       pledgets for 4 years and experiencing respiratory  
13       symptoms for one year. Pulmonary hypertension was  
14       confirmed by open lung biopsy.

15              At the time, these inhalers contained wads  
16       of cotton that were soaked in methamphetamine that  
17       was intended to be inhaled as a vapor, like an  
18       inhaler you can get over the counter for a cold  
19       now. She had been squeezing the liquid out of  
20       these pledgets and injecting it. Stray cotton  
21       fibers and talc from other tablets accumulated in  
22       lung tissue to the point where the authors observed

1 "interstitial fibrosis, greatest around arterial,  
2 some of which were completely obliterated." You  
3 can see normal vasculature in the top right  
4 quadrant, anatomical right quadrant, in the slide,  
5 but the three other dark quadrants are dark due to  
6 extensive vascular damage.

7 The paper goes on to detail 3 additional  
8 cases involving other stimulant inhalers, heroin  
9 and barbiturates. In most cases, injection had  
10 been occurring for 2 to 4 years before presenting  
11 for care, giving us a rough timeline at least for  
12 this kind of excipient harm.

13 It is also worth noting that despite the  
14 documented harm from injection of stimulant  
15 inhalers containing levomethamphetamine and purple  
16 hexedine, both of these products remain on the  
17 market and are over the counter, in fact. And  
18 while there are sporadic reports of tampering on  
19 use, the outrage seems to have dissipated while the  
20 drug remains available.

21 I can't quite explain this other than to  
22 consider that abuse and tampering may be somewhat

1 an inherent property of any given drug, but it's  
2 just as likely to have an interaction between that  
3 given drug and everything else that's available on  
4 the market.

5 Moving on to the early 1980s, there was a  
6 well-documented outbreak of pharmaceutical  
7 injection triggered by a sudden decline in heroin  
8 supply in Chicago. The Ts and Blues episode  
9 subsided soon after it started as heroin returned  
10 to the streets.

11 Ts and Blues were a combination of  
12 pentazocine, another opioid analgesic, and  
13 tripelethamine. Much has been written about this  
14 phenomenon, but this paper documented similar  
15 symptomology of presenting excipient harm as we saw  
16 in the earlier examples.

17 In this figure from the paper, focused on  
18 the shaded bars, compared to heroin injectors,  
19 those injecting the 2 tablets had much higher  
20 respiratory problems stemming presumably from  
21 pulmonary occlusion from talc deposits.

22 Perhaps the most traumatic excipient harm of

1 recent memory happened in the United Kingdom,  
2 largely in Scotland. The benzodiazepine temazepam  
3 had been reformulated, making it harder to inject.  
4 I'll go into this in more detail in a moment, but  
5 injection of the ADF version of the product led to  
6 very concerning gangrene and limb loss.

7 I'll also point out in this particular photo  
8 the temazepam gel cap had been stored in poor  
9 conditions outside the sanctioned supply chain,  
10 leading to the ND dissolving and the capsule  
11 leaking. As I'll discuss in the framework section,  
12 storage conditions after diversion are one of the  
13 dimensions of understanding risks from tampering  
14 and injections.

15 In the early 2000s in Malaysia,  
16 buprenorphine tablets had been on the market for a  
17 few years as treatment for opioid dependence. They  
18 were subsequently reformulated to include the  
19 antagonist naloxone, putatively making it less  
20 desirable to inject when crushed. While it's not  
21 an excipient, the naloxone was supposed to be  
22 sequestered when taken orally.

1           This study, relying on interviews with  
2 active drug users before and after reformulation,  
3 documented increasing frequency of injection with  
4 abuse-deterrent formulation, thereby increasing  
5 risks for bloodborne pathogen transmission. And  
6 again, despite increased injection frequency, the  
7 naloxone-containing product remained on the market  
8 as it does in the United States.

9           Increasing injection frequency was also  
10 noted in a more recent ADF reformulation, which I  
11 suspect the committee is well aware of at this  
12 point. Extended-release oxymorphone, Opana ER, was  
13 reformulated to make it harder to crush. The  
14 injection deterrence was incomplete enough to have  
15 resulted in a documented increase in injection  
16 episodes with more injections per episode.

17           This is believed to have contributed to an  
18 HIV outbreak in Indiana. Excipient harm was noted  
19 as well with cases of thrombotic thrombocytopenic  
20 purpura-like illness, TTP, being documented by CDC.

21           Since this drug has been extensively  
22 discussed already, I won't go into more details,

1 but you can see the epidemic curve on the left  
2 there for context. On page 21 of the briefing  
3 materials for this meeting, the sponsor suggested  
4 that excipient harm is not an issue because one of  
5 the excipients, polyethylene oxide, is of a similar  
6 molecular weight as to that and the reference  
7 listed drug, OxyContin.

8 But I would like to point out that TTP has  
9 been documented with the same reformulated  
10 OxyContin in Australia. In that report, a 29-year-  
11 old female had been injecting immediate-release  
12 oxycodone and original OxyContin for a year prior  
13 to reformulation, but had switched to the  
14 reformulated OxyContin in the 2 months preceding  
15 admission for treatment. The authors of that study  
16 hypothesized a yet-unidentified genetic  
17 susceptibility.

18 Mixed exposures such as this are the norm,  
19 making etiology even more difficult to judge. So  
20 higher molecular weight PEO in Opana ER does not  
21 alone explain the causal connection to TTP, nor is  
22 its lower molecular weight of PEO totally

1 protective from TTP.

2           So it appears that it would be reasonable to  
3 expect cases of excipient harm with the formulation  
4 under discussion today or any formulations that  
5 come out that contain PEOs with different molecular  
6 weights.

7           Now, let's return to the temazepam case  
8 study from the United Kingdom. This is an episode  
9 that is still talked about today and has shaped  
10 drug policy in that country to this day. In the  
11 1980s, liquid-filled gel caps were commonly  
12 prescribed, the yellow rugby balls you saw in the  
13 earlier image.

14           The liquid could be drawn up easily with a  
15 needle into a syringe. In response to reports of  
16 injection, the manufacturer reformulated it with a  
17 higher molecular weight crystalline wax. In 1989,  
18 the reformulated product was already being called  
19 abuse resistant. A noticeable reduction of  
20 intravenous injection followed, but all was not  
21 well.

22           A couple of years later, reports emerged of



1       intraarterial injection. The capsule could be  
2       boiled and the melted wax extracted. Public outcry  
3       intersected with reports that a widely adopted  
4       recent technological innovation was being used to  
5       melt the wax, namely the microwave oven. When  
6       injected intraarterially, the wax would harden,  
7       resulting in severe damage with amputations in  
8       extreme cases.

9               It's important to note that there were a few  
10       dozen of these documented cases, and it was fairly  
11       localized, but it was enough to have the drug  
12       withdrawn from the market.

13              This example also makes us pause to ask if  
14       the proposed labeling is accurate to describe  
15       intravenous injection specifically as a phenomenon  
16       unique from intraarterial or subcutaneous  
17       injection. Does intravenous-deterrent labeling  
18       signal to those interested in tampering that other  
19       forms of injection are viable? I think this is an  
20       open question. It doesn't seem like that's the  
21       intent, but it seems a little bit confusing to me.

22              Now let's turn to how we can synthesize this

1 information into a practical framework for putting  
2 excipient harms into context. I emphasize that  
3 this is my own work and conceptualization, and I  
4 welcome others to contribute or criticize.

5           When speaking to people who inject drugs  
6 about injection harms, I tend to divide each  
7 injection episode into pre-exposure and consumption  
8 phases. In the pre-exposure phase, I tend to ask  
9 questions about the manner in which the drug is  
10 acquired and prepared.

11           In the consumption phase, I examine the  
12 manner in which it was administered and the  
13 specific harm that was experienced. Of course,  
14 above all of this is the choice of drug. I'll  
15 briefly walk you through the series of questions in  
16 these five categories and practice in assessing a  
17 specific case of harm. I will start with the harm  
18 and work backwards through these categories, but  
19 it's more logical to introduce the concept going  
20 forward in time.

21           For the drug itself, the physical design of  
22 the formulation is relevant as we've discussed

1 already, and it's also important to know if other  
2 drugs use the same drug delivery platform. But  
3 there's also a dimension of misclassification that  
4 can occur that influences self-reporting in  
5 surveys, just something to be aware of for  
6 observational studies.

7           It's also important to note that many of  
8 these concerns are outside of the control of the  
9 sponsor, so there's only a limited amount that any  
10 one party can do.

11           In terms of the acquisition category, the  
12 sourcing social dynamics can actually modify the  
13 risk associated with a product, not always for the  
14 better. Some difficult-to-extract ADFs can be  
15 purchased in already-extracted form such as a  
16 powder with a price premium reflecting the labor.

17           Logistics of the acquisition can also be  
18 important, although often overlooked. Even the  
19 legitimate market faces pressures of pedigree and  
20 expiration dates, and the black market is no  
21 exception. For naturally derived drugs like  
22 heroin, contamination with microorganisms is very

1 common. We don't know how much this affects  
2 prescription drugs.

3 There are practical issues, too. Small  
4 numbers of pills might get stashed into the coin  
5 pockets of jeans. I've seen cringeworthy tablets  
6 covered in pocket lint that weren't very well  
7 cleaned before injection.

8 Again, these are aspects that are well out  
9 of the control of any given manufacturer or federal  
10 agency, but are relevant for the public health  
11 harms; so again, outside of control for many of  
12 these things.

13 Before we go into the preparation aspect,  
14 let's review the basics of injection equipment so  
15 we're on the same page. A tourniquet isn't always  
16 necessary, but many choose to use one. I'll try to  
17 point that there. Syringes can have fixed needles  
18 like this one or detachable needles, syringes with  
19 detachable needles like this one.

20 Sterile water is nice to have, but any water  
21 source will be actually used in practice, even  
22 puddles or water from the toilet bowl. This is a

1 particularly nice looking cooker on the bottom of  
2 the screen there with a handle, but bottle caps or  
3 spoons are commonly substituted as the place where  
4 the pulverized pill and liquid substrate are  
5 combined.

6 In some countries and within certain drug  
7 user networks in this country, it may be common to  
8 add something to help the drug dissolve regardless  
9 of whether it actually does so. In this case, we  
10 are looking at packets of USP grade citric acid  
11 distributed by harm reduction programs in the  
12 United Kingdom.

13 In the U.S., we generally don't have much of  
14 an additive culture, but it isn't that exceptional  
15 to hear talk of adding lemon juice and vinegar.  
16 Obviously, microbial contamination is definitely a  
17 risk.

18 Finally, filters of many kinds are used,  
19 placed at the tip of the needle when drawing up the  
20 drug prepared in the cooker. Cigarette filters  
21 often have fiberglass, but Q-tips, cotton balls,  
22 and tampons are also used.

1           So on the topic of filters, there are  
2 commercially available filters in other countries  
3 that fit on the tip of a syringe which effectively  
4 eliminate the most harmful-sized particles, the  
5 small ones that get stuck in the finest of  
6 capillaries.

7           I don't know that these are taking hold in  
8 the U.S., though, but it would further demonstrate  
9 that excipient harms are a worldwide problem being  
10 addressed with different approaches in different  
11 countries.

12           In the preparation step, each of the  
13 implements used for injection can modify health  
14 risks either up or down. In terms of the methods  
15 of extraction, heat, either added or removed, has a  
16 long history of being used to manipulate tablets.

17           Chemical extraction techniques will emerge,  
18 possibly disseminated on the internet. And while  
19 they often dazzle us in their sophistication, it is  
20 hard to know how widely they are actually used. In  
21 speaking to injection drug users about this, the  
22 single dimension that was consistently described

1 was time, how long does it take to go about getting  
2 the dope out of the pill?

3 I don't know that this is a routinely  
4 measured dimension in trials, but we've clearly  
5 heard from experts who have tried many of the  
6 online recipes that are available to look at.

7 I think there's also a level of nuance of  
8 ritual and belief that influence harm, that don't  
9 come across online. Let me show you an example  
10 from a study we did, which included drug use  
11 observations, which were conducted with permission.  
12 These are actual images of tampering of an older  
13 80-milligram OxyContin before it was reformulated.

14 In the bottom frame, you can see the lighter  
15 that is being used to crush the tablet inside a  
16 dollar bill on the top frame. You can see the  
17 powder that emerges, and I don't think currency is  
18 the cleanest of services, and you can extrapolate  
19 some harms right away.

20 But this individual was really interesting  
21 because he highlighted the importance of beliefs  
22 about drugs and rituals. You can see the white

1 streaks almost on this slide -- you can see the  
2 white streaks on his blue shirt. Just before this  
3 picture was taken, he had put the pill in his mouth  
4 and let it sit there for a dozen seconds.

5           When I asked him why he did that and why he  
6 was wiping it on his shirt, he replied that he was  
7 "getting rid of the time release" by dissolving the  
8 green-color film coating on the tablet. So  
9 usually, extended-release tablets have most of the  
10 active ingredient embedded in a matrix deeper  
11 inside the tablet. So the superficial tampering in  
12 this example really just introduced oral flora into  
13 the injection and didn't actually get rid of the  
14 time release as the belief was at the time.

15           I won't go into the full details of  
16 administration since they are relatively well  
17 known, but I will point out that knowing the  
18 toxicity and release of a drug from adipose tissue  
19 is pretty important, but is often overlooked.

20           Some people will inject into fat, especially  
21 for steroids, if veins are unavailable. But more  
22 commonly, veins are missed, and the drug may end up



1 in adipose tissue surrounding the blood vessel.

2           Dissolution from and toxicity in this tissue  
3 may have consequences worth studying. I was a bit  
4 surprised that the background material seemed to  
5 focus on intravenous route, and as we've already  
6 seen, intraarterial, subcutaneous, and other routes  
7 may play an important role when it comes to severe  
8 excipient harm.

9           So while the labeling is specific to route  
10 of administration, we have to acknowledge that the  
11 harm by any route could be of public health  
12 concern, maybe a slightly different dimension on  
13 this from the regulatory perspective.

14           Finally, I show you a short list of  
15 injection-related harms. I'm sure you can add  
16 more. The point of this list and the way I use  
17 this framework is to start with a specific harm  
18 that was experienced and work my way backwards to  
19 the previous four categories, and this way, you can  
20 start to string together the chain of causal events  
21 that led to the drug harm. Oftentimes, by the time  
22 you get to the first drug category, the product

1       itself becomes largely irrelevant because the harms  
2       are associated with the steps in between.

3               The focus of excipient harm studies, then,  
4       is to take the interceding factors into account and  
5       put them in context of existing practices and  
6       isolate the effect of the drug formulation itself.

7               I'm not privy to the pre-approval testing  
8       done by the manufacturer of the drug in question  
9       today. I would expect that an ethical drug  
10       development campaign would include animal testing  
11       of excipient harms when the product is tampered  
12       with.

13               This example from Israel provides a  
14       suggested path forward when gangrene was suspected  
15       in a 37-year-old man from injecting codeine  
16       tablets. This angiogram shows a complete block of  
17       the arteries in the hand. The physicians wanted to  
18       isolate which excipient led to limb loss. They  
19       injected dogs with the various excipients from  
20       tablets that had been injected by the patient and  
21       were able to specifically isolate that  
22       microcrystalline cellulose had caused the

1 pathology.

2           Similar studies have been conducted in  
3 rabbits and rats, and those citations are  
4 summarized in a Danish study published in 1987,  
5 which I don't have on the slides, but I'll give you  
6 the details of, analyzing 33 consecutive fatalities  
7 among injecting drug users in Copenhagen.

8           In this rather remarkable paper, the authors  
9 were able to differentiate between excipients in  
10 organ tissue from histologic sections. The  
11 different excipients were talc, potato starch, corn  
12 starch, microcrystalline cellulose, magnesium  
13 stearate, siliciumoxid, and cotton fibers.

14           The excipients were consistently sequestered  
15 in histocytes causing granulomatous reactions  
16 throughout the body. Specific excipients were able  
17 to be linked to specific branded products. Nearly  
18 all of the decedents, regardless of cause of death,  
19 showed birefringent material deposits, most  
20 prevalently in the lungs in 94 percent of  
21 decedents; spleen, 76 percent of decedents; liver,  
22 55 percent; lymph nodes, 40 percent; bone marrow,

1 24 percent; and so on.

2 My apologies for not including this on the  
3 slides, but for the transcript, the PubMed ID  
4 number for this paper is 3036675.

5 A more recent animal study comes from Russia  
6 in 2004. Rats were injected with high molecular  
7 weight PEO over fairly high-dose ranges, resulting  
8 in thrombocytopenia, anemia, and other outcomes.  
9 This was conducted well before human concerns  
10 emerged with Opana ER or OxyContin.

11 I'm waiting on a human translation of the  
12 article from the Russian, but the point is that  
13 animal studies of excipient harm have been  
14 published and the precedent exists for conducting  
15 them.

16 In conclusion, one thing that nags at me  
17 when I think about excipient harm is it's unclear  
18 why certain injectors experience them and others  
19 don't. We've heard in the literature about  
20 questions about genetic predispositions, but what  
21 those specific genetic markers are is unknown. The  
22 Danish study I mentioned suggests that excipient

1 damage may be accumulating silently among people  
2 who inject pharmaceuticals that are meant for oral  
3 use.

4 Opioid analgesic injection has been  
5 concerningly widespread in the U.S. for two  
6 decades. We do not know what it will take to tip  
7 background levels of excipient build-up into a new  
8 public health crisis. Hence, I think the needs for  
9 animal testing are paramount.

10 To date, these kinds of safety studies have  
11 not been required, and if they were suddenly to  
12 start being required, even for one drug, how far  
13 back and how far afield they should go will need to  
14 be established in a fair manner.

15 Perhaps excipients approved for parenteral  
16 administration are a starting point for safer  
17 formulations, as alluded to a half-century ago. I  
18 emphasized that it has only taken a handful of  
19 cases of severe excipient harm to have drugs pulled  
20 off the market.

21 At the same time, drugs with documented  
22 excipient harms remain on the market and can even

1 be found over the counter. There's a lot we don't  
2 know and don't understand about excipient harm, so  
3 it's hard to generalize to every new case that  
4 comes up.

5 In conclusion, I note that the discussions  
6 of excipient harm have played out in the pages of  
7 major scientific journals over a span of decades.  
8 It almost feels like there are no unintended  
9 consequences when it comes to tampering and  
10 injection of controlled substances.

11 We know that ADF mechanisms will be tested  
12 on the street, and we know that they're not  
13 perfect. The question is only a matter of which  
14 specific harms will come of tampering and whether  
15 we would be able to detect them. Thank you for  
16 your time.

17 DR. BROWN: Thank you, Dr. Dasgupta.

18 Are there any questions for Dr. Dasgupta at  
19 this point? We're going to ask that the panel only  
20 ask questions about the information within this  
21 particular presentation. Are there any questions  
22 for this very nice presentation?

1 (No response.)

2 **Clarifying Questions (continued)**

3 DR. BROWN: If there are not, thank you  
4 again for coming up from Chapel Hill, and we  
5 certainly appreciate your presentation.

6 We'd like to move ahead now to answer some  
7 of the questions or to allow you to ask some of the  
8 questions that we were going to get to.

9 Dr. Warholak, is there a question?

10 DR. WARHOLAK: Sure. This is for Dr. Cone.  
11 Looking at the syringeability studies, I recognize  
12 that you said that you tested with the most common  
13 agents that people use, but you looked at solvents  
14 only 1 and 2, and I wondered if there are other  
15 common solvents that you looked at, and if so, what  
16 those results were.

17 DR. CONE: We chose the two most common  
18 solvents that we are aware of. Primarily because  
19 of that, we didn't look across other broad-ranging  
20 solvents that are much less common. We did include  
21 a variation on the saline or that particular  
22 solvent. We looked at what we call hypertonic

1 saline.

2 If I may, I'd like to clarify something  
3 about the coating one more time. It seems like  
4 there was a little bit of confusion. If you cut  
5 the tablet in half, it's a very rigid matrix, so  
6 you can't scoop it out. If you try to do anything  
7 to it, it crushes the tablet.

8 So in essence, all of the gelling agent is  
9 in the core. So in testing syringeability, when we  
10 crush the tablet, we were testing it with the core  
11 material.

12 DR. BROWN: Dr. McCann?

13 DR. McCANN: Mary Ellen McCann, Boston. I  
14 have a number of questions about the blue dye, so I  
15 guess, for Dr. Odidi or Dr. Cone. One is, are we  
16 allowed to know the name of the additive, which  
17 specific blue dye it was or how many blue dyes were  
18 included in the formulation?

19 DR. BROWN: Dr. Hertz, are we allowed to  
20 know the --

21 DR. HERTZ: The sponsor can answer that if  
22 they wish. We can't. But if it's proprietary and



1 they choose not to, that would not be unreasonable  
2 as well.

3 DR. ODIDI: To help the committee out, I  
4 would make the exception and answer the question.  
5 The blue dye is FD&C Blue Number 1.

6 DR. McCANN: Okay. Is the blue dye dose  
7 proportional for the oxycodone dose, meaning if you  
8 take the 80-milligram tablet, you're getting the  
9 same amount of blue dye as the 10-milligram, or  
10 does it go up with the oxycodone dosage?

11 DR. ODIDI: The blue dye in the different  
12 strengths are approximately the same, but they  
13 range from 3.6 milligrams to 4.8 milligrams.

14 DR. McCANN: That was my other question.  
15 How much was in it?

16 DR. ODIDI: How much was -

17 DR. McCANN: You said it's 3.6 milligrams  
18 per tablet. Right?

19 DR. ODIDI: Approximately.

20 DR. McCANN: Approximately. Okay. And when  
21 I Wikipedia'd blue dye number 1, it says 95 percent  
22 of it is excreted fecally, which I presume that

1 implies anyway that 5 percent is absorbed. Is that  
2 your understanding about how blue dye number 1  
3 behaves?

4 DR. ODIDI: We haven't done experiments in  
5 humans to understand how the blue dye behaves in  
6 terms of metabolism. But generally speaking, in  
7 the literature it says that it undergoes limited  
8 absorption and the majority is excreted.

9 DR. McCANN: Then finally, the same  
10 Wikipedia -- so I apologize for that -- says that  
11 the daily -- the FDA recommendations I believe for  
12 blue dye number 1 are 0.1 milligrams per kilo per  
13 day. So if you're looking at a 35-kilo individual,  
14 which I know is half the size of an adult, that  
15 would be one tablet.

16 So if you're looking at somebody -- I mean,  
17 wouldn't it be quite easy for somebody who took,  
18 say, 4 of these tablets a day to exceed the FDA-  
19 recommended amount of blue dye number 1?

20 DR. ODIDI: Did you conclude by saying it  
21 would be easy or not easy? Sorry. I didn't hear  
22 you well.

1 DR. McCANN: You didn't hear me. So if the  
2 FDA-recommended guideline for ingestion of blue dye  
3 number 1 is 0.1 milligrams per kilo per day, then  
4 wouldn't it be easy if somebody had, say, 4 tablets  
5 a day to exceed that recommendation if they were a  
6 small adult?

7 DR. ODIDI: Thank you. The average daily  
8 intake reported by the FDA in 2011 is 12 milligrams  
9 per kilogram per day for FD&C Blue Number 1,  
10 12 milligrams per kilogram per day. Our product  
11 contains, as I said, a 3.6-milligram tablet.

12 To put it in perspective, we talked about  
13 Gatorade, and it came up many times. Gatorade  
14 contains about 10 milligrams per bottle and our  
15 tablet contains 3.6 milligram. Again, I agree with  
16 the FDA. This is not a surrogate for determining  
17 the toxicity of this product when used  
18 intravenously, but from the other point of view, I  
19 guess you can draw some conclusions there.

20 DR. McCANN: Thank you.

21 DR. BROWN: Dr. Mendelson?

22 DR. MENDELSON: I'm fine. No more questions

1 at the moment. I got it answered.

2 DR. BROWN: Dr. Robotti?

3 MS. ROBOTTI: Suzanne Robotti. I'd like to  
4 ask about the question of the removal of blue dye  
5 from skin. There was a passing comment that you  
6 would have to rub for 30 minutes with water. Did  
7 you do any tests on using any of the substances to  
8 remove it, Vaseline, detergent, makeup remover,  
9 anything like that?

10 DR. ODIDI: No. We didn't do those studies.  
11 We just used the common solvent, water.

12 MS. ROBOTTI: Then I have a question for  
13 Dr. Setnik. There are 22 subjects who completed  
14 the proportionality study. They were all men  
15 between the ages of 18 to 50. And I missed how  
16 many, but quite a few did not finish this study.  
17 Were any of those people who were removed from the  
18 study women?

19 DR. SETNIK: No. So for the dose  
20 proportionality study, it was all males. And the  
21 reason that they predominantly withdrew was due to  
22 vomiting when they either received the naltrexone,

1 the cover, or the naltrexone plus the opioid.

2 MS. ROBOTTI: Is there a reason you chose  
3 men over women who, actually, there are more women  
4 in the population than men?

5 DR. SETNIK: The study was conducted in  
6 Jordan, and there the predominant subjects are  
7 male.

8 MS. ROBOTTI: In Jordan?

9 DR. SETNIK: In Jordan, yes, the country of  
10 Jordan. So it's culturally the males who would  
11 participate in such studies. Earlier, I had  
12 mentioned the bioequivalency studies had been  
13 conducted in healthy females and males. So those  
14 studies, looking at the food effects at the 10- and  
15 80-milligram doses included females in those  
16 populations, and they're representative in the  
17 datasets.

18 DR. ODIDI: And the studies were conducted  
19 in Canada.

20 DR. SETNIK: Yes.

21 MS. ROBOTTI: Okay. Thank you.

22 DR. BROWN: Dr. Joniak-Grant?

1 DR. JONIAK-GRANT: Elizabeth Joniak-Grant.  
2 This question is directed to Dr. Sellers with  
3 slide 71. It was mentioned very briefly that there  
4 may be a deterrent with the intranasal abuse  
5 because contact with nasal mucosa turns it into a  
6 gel. And then it just says, "Causing leakage."

7 Were any studies done looking at this? And  
8 also, what happens to the gel that doesn't leak  
9 out?

10 DR. SELLERS: So first of all, the basis of  
11 the very viscous gel, or the studies that Dr. Cone  
12 presented, even though this product can be reduced  
13 to small particle size, when you do this, what you  
14 trade off is small particle size against faster and  
15 more extensive gelling. And you end up with a  
16 material that is more viscous than, for example,  
17 the comparator here.

18 It takes very, very little moisture to make  
19 this gelling happen. Some of the studies here use  
20 volumes that are very typical of what abusers would  
21 usually use. Some of the large-volume studies are  
22 lots of fluid, but IV abusers or intranasal abusers

1 who also use IV, if they ever use liquid, they're  
2 going to use tiny amounts, like an mL or something  
3 of this sort.

4 So that's then the basis of the fact  
5 that -- and again, Dr. Cone showed a blob that  
6 would be with typical volumes, and that is  
7 impossible to get into a syringe. And that's what  
8 abusers normally would do.

9 So that's the intravenous abuse, why there's  
10 this big obstacle. And obviously the material is  
11 dark blue as well, which abusers find -- they don't  
12 like colored things, and they don't like things  
13 that they are going to inject that have particulate  
14 matter. Now, of course, there are some individuals  
15 who will take that particular risk.

16 So does that answer your question, or do you  
17 want to go a bit further?

18 DR. JONIAK-GRANT: Yes. This was more about  
19 the intranasal abuse, not involving liquid, because  
20 it was mentioned that the contact with the nasal  
21 mucosa would cause it to gel. So I'm sort of  
22 envisioning, if individuals snorted it, then it

1 would start to turn into a gel, and then you'd have  
2 leakage.

3 So how much leakage and what happens? Could  
4 it turn into a gel and stay in the body?

5 DR. SELLERS: So with internasal  
6 abuse -- and I've done quite a few of these studies  
7 with PEO types of products. Now, this one is  
8 unusual because of these very small particles and  
9 the rapid gelling. But I think we can take a  
10 general lesson from this, that when they take the  
11 particles in through the nose -- and these  
12 particles are not so small that they can take them  
13 all the way back. They actually get stuck in the  
14 nose. As soon as they hit the mucosa, you start to  
15 get gelling.

16 It happens -- it depends, this product,  
17 probably very, very quickly. But when it does, the  
18 nose starts to get stuffed. They start to feel  
19 irritated. They want to blow their nose as the  
20 gelling goes on. Then you see it starting to drip  
21 out.

22 Now, of course, this product also contains



1 sodium lauryl sulfate, which is irritating to the  
2 nose. And we've done studies with other products  
3 that contain SLS. If you're interested in what  
4 those studies look like, I can certainly show you  
5 those. But there are a couple of things going on  
6 here. One is the gelling. The gelling will happen  
7 rapidly.

8           When the gelling occurs, this actually  
9 impairs the absorption from the gel material  
10 because some of the active drug is stuck in the  
11 gel. And then you start to have some of it leaking  
12 out, and with this product, because the SLS is in  
13 there, this will be irritating.

14           What we've seen in previous studies with SLS  
15 is that the intranasal abusers report irritation,  
16 burning, nasal flushing, and they uniformly say  
17 they don't want to take this particular kind of  
18 product intranasally. And of course, the product  
19 has got this blue dye, so whatever is --

20           DR. HERTZ: But the question was, were any  
21 studies done with this product? Because we know,  
22 for instance, that OxyContin, which gels, is

1 nasally abused in spite of all the things that you  
2 said.

3 So were studies done with this product, I  
4 believe was the question.

5 DR. SELLERS: I interpreted the question as  
6 to what are the rationale for this, but of course,  
7 I understand --

8 DR. HERTZ: But were studies done?

9 DR. SELLERS: For this particular study, for  
10 this particular drug, intranasal studies have not  
11 been done, as the sponsor has indicated.

12 DR. BROWN: In further looking on the  
13 internet, Brilliant Blue 1 is the subject of quite  
14 a bit of information. And it reports here that  
15 it's been banned in France and Finland. I'm just  
16 interested from the FDA if that comports with your  
17 understanding of that excipient.

18 DR. HERTZ: I can't really discuss that. I  
19 can't discuss the details of the excipient at this  
20 meeting.

21 DR. BROWN: Can you just answer this  
22 question, then?

1 DR. HERTZ: I'll try.

2 DR. BROWN: Blue number 1 that's in our  
3 food, has it been banned in France and Finland?

4 DR. HERTZ: I don't know. I mean, is that  
5 what you're seeing online? I am not familiar with  
6 how different countries have regulated the  
7 different dyes and how that pertains to the dye  
8 here. Sorry.

9 DR. ODIDI: Can I just give some  
10 clarification? Did I hear you say Brilliant Blue?  
11 Because the dye we have is different from Brilliant  
12 Blue. It is FD&C Blue Number 1. So is that what  
13 was banned in France?

14 DR. BROWN: Yes, it seems to be

15 DR. ODIDI: Brilliant blue?

16 DR. BROWN: Correct.

17 DR. ODIDI: We are not using Brilliant Blue  
18 in this product. We have used blue number 1.

19 DR. BROWN: Dr. Meisel?

20 DR. MEISEL: Thank you. I've got two  
21 questions, first for Dr. Setnik. Referring to the  
22 bioequivalent studies, I think the slide is 28 and

1 30, I understand the FDA 80- to 125-percent  
2 tolerance limits. But am I correct to assume here,  
3 as I look at these slides, that there are  
4 statistical differences here between the two  
5 products on this particular slide?

6 In a fed state, the IPC is about 10 percent  
7 less well absorbed, but in a fasted state, it's  
8 about 6 or 7 percent more absorbed. That is  
9 statistically true?

10 DR. SETNIK: So the way the analysis is  
11 done, it does the LS means within a certain range,  
12 so there are some variations between the Cmaxes  
13 that are acceptable if they are within those  
14 limits.

15 DR. MEISEL: I understand the 80 to 125  
16 tolerance for bioequivalence, but based on the look  
17 of this, the confidence limits don't cross that  
18 center lane for most of these. So would I be  
19 correct to assume that there are, if not clinically  
20 relevant differences, statistically relevant  
21 differences? Is that true?

22 DR. SETNIK: So the statistical analysis in

1 terms of a p-value, comparing the Cmax directly, I  
2 don't recall those having been conducted.

3 DR. MEISEL: I see the same phenomenon on  
4 slide 30.

5 Then I have got a question for Dr. Sellers  
6 on slide 70. Am I correct to assume, as I read  
7 these graphs, that in the Kentucky survey, with the  
8 reformulated OxyContin, the percent of intravenous  
9 use or abuse went down by 100 percent and that  
10 snorting went down by almost 100 percent? Is that  
11 correct?

12 DR. SELLERS: That is correct. This was a  
13 cohort study, so this was a group of abusers who  
14 were tracked as to what was the effect of the  
15 introduction of the reformulated OxyContin. And  
16 this is the report that they gave as to how they  
17 were using the drug.

18 DR. MEISEL: Then, in the other three  
19 studies, you talk about oral versus non-oral. In  
20 the non-orals, can that be split out by IV versus  
21 inhalation versus who knows what?

22 DR. SELLERS: These data are derived from a

1 paper. Paul Coplan is the first author and  
2 published in 2016. And the paper does not contain  
3 the break-out between, say, snorting and IV.  
4 That's why I didn't put it on the slide.

5 DR. MEISEL: If you believe that Kentucky  
6 survey, it would be hard to get better than that,  
7 wouldn't it?

8 DR. SELLERS: In the Kentucky survey,  
9 because it was directed at a cohort, where they're  
10 being asked what route it was, it is specified in  
11 that particular part of the array of different  
12 studies that were done.

13 DR. MEISEL: Right. But in all the other  
14 ones, the reduction is at least 70 percent.

15 DR. SELLERS: Could you repeat that?

16 DR. MEISEL: In the other three, the  
17 reduction in non-oral abuse from the OxyContin  
18 reformulated version is at least 70 percent.

19 DR. SELLERS: There is reduction in both  
20 oral and non-oral, but a greater reduction in non-  
21 oral. So the NAVIPPRO and RADARS are individuals  
22 who are in treatment programs and they're being

1 asked what routes are you using or have you been  
2 using with, for example, in this case the  
3 reformulated OxyContin.

4 DR. MEISEL: Thank you.

5 DR. BROWN: Can I just comment on that? If  
6 you could put that slide back up, that does not  
7 imply that the frequency of intravenous abuse in  
8 Kentucky has dropped. And in fact, the import of  
9 heroin into especially eastern Kentucky has risen  
10 dramatically, coincident with having OxyContin ADF  
11 on the market.

12 DR. SELLERS: That's correct. These are the  
13 data for the change of the pattern of use of  
14 OxyContin and illustrate that the pattern of abuse  
15 for the product per se has changed.

16 DR. MEISEL: Going back, somebody made the  
17 comment early on in one of your presentations that,  
18 if you're an abuser, you'll just switch to  
19 something else. That's what you're getting at.  
20 Right? They switch to heroin or something.

21 DR. BROWN: Dr. Arfken? Dr. Campopiano?  
22 She asked me to ask the following questions. Does

1 the sponsor have data in which the sponsors studied  
2 any relationship of this drug to GI distress,  
3 constipation, diarrhea associated with the  
4 excipients and if there's a dose-related response?

5 DR. ODIDI: The answer is no.

6 DR. BROWN: Dr. Kline?

7 DR. KLINE: I buy that adult patients may  
8 not want to be stained blue, but I think my  
9 question is more about a diversion issue, and that  
10 is with the current rave culture, has there been  
11 any thought given to the fact that there might be  
12 youngsters that would actually like to look blue  
13 and might be taking this for that actual status  
14 symbol, of having a mark that they're using this  
15 illegally?

16 DR. ODIDI: We haven't conducted any  
17 studies, but I think it's important to put this in  
18 perspective and what we're trying to do with this  
19 blue dye.

20 A blue dye in this product is basically a  
21 two-pronged approach. First it acts as a  
22 deterrent, which you are saying for those



1 youngsters, perhaps trend seekers may not think  
2 this is a deterrent, but something good to do. And  
3 also it acts as an early warning system to alert  
4 families regarding abusers or who would be abusers  
5 like these youngsters who try to indulge in this  
6 process.

7 I think, if they do abuse because they like  
8 the color, then that obviously will be revealing  
9 and will tell easily that they have tried to abuse  
10 this product, and hopefully family members and  
11 friends will try to intervene.

12 DR. KLINE: I think my concern, though,  
13 about the diversion is that unless the adults know  
14 to look for this as a sign -- unless this is a  
15 well-publicized fact that you're looking for the  
16 blue color to see if they're using this, that this  
17 blue color isn't going to raise any concerns in  
18 adults unless they're educated.

19 The kids, as I am the father of teens, they  
20 will also be very inventive on being able to get  
21 rid of the blue color and how they do that, so just  
22 thoughts.

1 DR. ODIDI: I'd like to call on Dr. Sellers  
2 to give a perspective on this.

3 DR. SELLERS: A couple of practical points,  
4 I certainly thought of the kind of situation that  
5 you proposed, but I think you have to look at this  
6 product as a whole because it's got these other  
7 properties. It does form this gel. It contains  
8 the SLS. And it has a blue dye.

9 The blue dye is going to be predominantly  
10 effective in individuals who have not progressed to  
11 kind of cult-like behavior and are deeply engaged  
12 in a drug-abusing situation. The impact on early  
13 diversion, crushing of the product, obviously these  
14 are going to be the most important things.

15 Among all of the things, some of which have  
16 been presented today, these kind of observations,  
17 like a small group of kids who might think having a  
18 blue mouth is really cool and it's part of a  
19 culture, tend to be fads. They tend to be  
20 localized. They tend to be kind of clusters of  
21 cases. They don't get huge amounts of traction.  
22 The Opana Kentucky experience is an example of a

1 localized area in which something happens or  
2 something a bit different.

3 So I think you're right. There probably  
4 will be, but in the big picture of what this sort  
5 of blue dye is meant to contribute, I think that  
6 it's going to have a much bigger effect than just  
7 thinking about how it could become a weird kind of  
8 endorsed behavior.

9 DR. BROWN: Dr. Litman?

10 DR. LITMAN: Thanks. Ron Litman. I have a  
11 couple of hopefully simple quick questions, and  
12 then one more significant one after that.

13 Dr. Setnik, I just think everyone here is  
14 thinking it. I just wanted to ask because of my  
15 own ignorance. Why Jordan?

16 DR. SETNIK: I think that one would have to  
17 be answered by the sponsor.

18 DR. ODIDI: Why Jordan? I think what  
19 happened is that we had several studies to do, and  
20 we determined that we had a time span to do them.  
21 And we looked for sites that would be FDA-approved  
22 sites to do the studies. We looked at the U.S., we

1 looked at Canada, and we looked at Jordan, and we  
2 looked at India. In India, we couldn't get permits  
3 to take the products there. In Jordan, we have  
4 permits.

5 So that was it. That's what informed those  
6 studies done in Jordan. But a lot of the studies  
7 were done in Canada, actually. But in order to get  
8 everything aligned to apply, the decision was  
9 taken.

10 DR. LITMAN: Thank you. So the next  
11 question I have is for Dr. Brock. I was just  
12 looking up what happens when you inject this blue  
13 dye intravenously. And there's this great picture  
14 on the internet of this mouse with blue nose, and  
15 ears, and paws.

16 One of the things that concerns me is, as I  
17 look through the public comments that pertain to  
18 this meeting, people who are representative of the  
19 addicted community are very concerned about public  
20 shaming.

21 So if you inject this intravenously, will  
22 you turn blue, I mean, to say it directly, and for

1       how long?

2               DR. BROCK: I can't really address the  
3 public shaming part of this, but I can address the  
4 toxicology data that do exist with IV injection in  
5 animals. There is some data that were published by  
6 Gross in the early 1960s. In this study, they did  
7 repeat IV injection to cats. Why cats, I don't  
8 know, but they used cats.

9               Systemically, they didn't see anything, but  
10 when they started doing repeat subcutaneous  
11 injection into mice -- that's probably what you  
12 found on the internet because I found the same  
13 thing, is that, yes, at the injection site, both IV  
14 and subQ, the injection site turns blue. And when  
15 they gross-necropsy the mice, the organs are blue,  
16 the urine is blue, the feces is blue.

17               The elimination of that blue dye from the  
18 injection site from after systemic administration  
19 does take time, and it's dependent upon the dose of  
20 the blue dye that was used. It's dependent upon  
21 the regimen of injection of the blue dye. The  
22 longer you inject an animal, the longer it's going

1 to take for that blue dye to be eliminated,  
2 visually eliminated, after the final injection.  
3 It's just the biologies of this.

4 Pharmacokinetically, there are no PK data  
5 with injection. The only PK data I have is  
6 following oral administration, if it's absorbed.  
7 It was stated earlier that it has low absorption,  
8 and that's true.

9 So with oral administration, very little of  
10 it is absorbed. The majority of it is eliminated  
11 in the feces.

12 DR. LITMAN: Thank you. I'm sure that, if  
13 and when the product ever hits the market, we'll  
14 have pictures on the internet within days.

15 One last question for Dr. Odidi. One of the  
16 most important things we're discussing here today  
17 is whether or not the category 1 studies are  
18 sufficient for ADF labeling, but I haven't really  
19 heard a very explicit explanation as to why that  
20 would be okay to forego the category 2 and 3  
21 studies for labeling or at least for IV deterrence.

22 DR. ODIDI: We understand your concerns. As

1 shown in the core presentation, this product  
2 represents an improvement in IV abuse deterrence  
3 over existing products. And we've also shown that  
4 it's bioequivalent to OxyContin. Thus, we think  
5 it's safe and effective from that point of view for  
6 its intended use.

7 It offers a very specific advantage toward  
8 deterring abuse by injection. I think we tried to  
9 show those data today. And we believe it has other  
10 properties, as we said. But we'll be exploring  
11 those.

12 As a matter of fact, we just had an approved  
13 protocol for human abuse potential study for the  
14 intranasal route, which we'll be studying in a  
15 month or two, so beta protocols and discussing with  
16 the FDA for what we call a focus group study,  
17 looking to assess the impact this blue dye will  
18 have on behavior and whathaveyou with abusers.

19 So again, like I said, we do understand your  
20 concern, but I think, given the physical chemical  
21 properties of this product, the presence of the  
22 blue dye, the presence of SLS, which is a known

1 nasal irritant, we think that it's important. We  
2 suggest that this product be made available to  
3 physicians sooner rather than later.

4 DR. LITMAN: So just for the purpose of  
5 today's meeting, the blue dye and the SLS are to  
6 discourage mostly nasal, but they really don't have  
7 anything to do with --

8 DR. ODIDI: There are other properties which  
9 Dr. Sellers alluded to, which is the superior  
10 gelling property of this product.

11 DR. LITMAN: Thank you.

12 DR. BROWN: Dr. Galinkin?

13 DR. GALINKIN: Jeff Galinkin. So I guess  
14 I'm worried about unintended consequences. If  
15 you're taking this long term, you've already  
16 mentioned that the urine turns blue. As an  
17 anesthesiologist and worried about resuscitation,  
18 when we give methylene blue, the pulse ox changes.

19 If somebody is taking an overdose of this  
20 medication or is even taking multiple pills of this  
21 over long periods of time, do the nail beds start  
22 to turn blue? Do you get the blue dye type effects



1 that you do with methylene blue? And if somebody  
2 was going to be resuscitated after this, it could  
3 cause them to have a different resuscitation if  
4 their pulse ox is reading much lower due to the  
5 fact that this methylene blue is altering it.

6 Did you do any studies looking at that?

7 DR. ODIDI: Thank you very much. We  
8 understand your concern, and I think we've got to  
9 be clear. This is not methylene blue. It's again  
10 FD&C Blue Number 1. It's well known.

11 DR. GALINKIN: I understand that it's not  
12 methylene blue, but that's not it. The point is,  
13 if you change the color of your nail beds -- this  
14 is why we do not allow people to wear nail polish,  
15 especially blue nail polish, when we're doing an  
16 anesthetic -- it will change your pulse oximetry  
17 reading.

18 DR. ODIDI: Again, I think I should ask  
19 Dr. -- thank you.

20 DR. DART: First, could I clarify the  
21 question? You're concerned that, when taken orally  
22 as directed --

1 DR. GALINKIN: Yes, when taken orally over  
2 long periods of time, you get that bluish tinge to  
3 your blood that other blue dyes can cause. When  
4 you resuscitate somebody and they're blue, you'll  
5 have a low pulse ox, which won't change.

6 DR. DART: There's no evidence that I'm  
7 aware of that it accumulates, so I don't think it  
8 would be an accumulation problem, and it is in  
9 multiple products now. The one I mentioned earlier  
10 was Gatorade.

11 DR. GALINKIN: Gatorade does not turn your  
12 urine blue that I'm aware of.

13 DR. DART: But I think --

14 DR. GALINKIN: They said that this does turn  
15 your urine blue.

16 DR. DART: Dr. Brock was talking about under  
17 testing of toxicity conditions, where you're giving  
18 a very large dose compared to the body weight.

19 So when we're giving a few milligrams to  
20 adolescents or adults, I think, for that matter,  
21 people who already take products that have it in it  
22 to the same degree at the same amount in there, we

1 heard those numbers, up to 10 milligrams per  
2 bottle. I think it's a liter of the Gatorade,  
3 actually.

4 So these numbers aren't that different. I  
5 don't know if we need to make a separate  
6 presentation on that because that wasn't  
7 anticipated, but really, to me, we have a natural  
8 experiment that has gone on here for quite some  
9 time in Gatorade and other products as well, and we  
10 haven't seen that.

11 So I don't think there's a concern there,  
12 but that's the best we can do.

13 DR. GALINKIN: Not everybody takes one pill.  
14 You might have people taking 4, 5, 6 pills at a  
15 time, I guess is my concern, twice a day.

16 DR. DART: Right. It's a BID medication  
17 that the person will be titrated to the dose. The  
18 dose is in there, so they can get to the right dose  
19 and have one pill twice a day.

20 DR. BROWN: Dr. Zacharoff?

21 DR. ZACHAROFF: Kevin Zacharoff. I have two  
22 questions. One of them, unfortunately, is dye

1 related. I do understand the comments about the  
2 pulse oximetry and the dose relationship to that,  
3 but if I also think about the fact that this is  
4 intended to be a long-term administered medication,  
5 that the likelihood is, if somebody's taking it  
6 twice a day, while there may not even be visual  
7 change in the urine color, it's likely that there  
8 will be dye in the urine, whether it's visual or  
9 not.

10 What I'm wondering is if there's been any  
11 testing done to see if this can affect in any way  
12 urine drug testing results.

13 DR. ODIDI: No, to answer your specific  
14 question.

15 DR. ZACHAROFF: Because I would think I  
16 would not want to know the answer to that question.  
17 I don't know that Gatorade, if somebody consumes 2  
18 bottles a day every day for 3 months or longer if  
19 it could potentially impact urine drug testing  
20 results, but without question, candidates for this  
21 medication are going to be subjected to urine drug  
22 testing of some kind. And I would think it would

1       compel us to know if it's possible that the dye  
2       could potentially impact the results.

3               DR. ODIDI: I think we do intend to discuss  
4       with the FDA to address issues such as those,  
5       labeling for example, a warning on labeling.

6               DR. ZACHAROFF: I think it is an important  
7       fact to know. I see you shaking your head no,  
8       Dr. Brook [ph]. I don't know if you want to make a  
9       comment with respect to that or not.

10              DR. BROCK: Specifically, I can't comment on  
11       whether the blue dye would interfere with urine  
12       drug testing. I don't think even methylene blue  
13       does. So just by analogy, it's unlikely from my  
14       perspective. I'm not an expert in that, but that's  
15       my perspective.

16              The other thing that I think we're getting  
17       lost in here is -- and Dr. Dart pointed it  
18       out -- when I was talking about the urine being  
19       blue, the feces being blue, and so forth, I mean,  
20       we're talking about doses in animals that are two  
21       orders of magnitude or more above what the actual  
22       human dose would be with this drug product.

1           The level of the blue dye that's here is  
2 higher than what's in the inactive ingredient  
3 guide, FDA's inactive ingredient guide, so I had to  
4 look at this from the perspective of qualification.  
5 And in order to do that relative to the intended  
6 clinical route, oral, I had to go back and look at  
7 all of the oral tox data that's available for this,  
8 and there's a fair amount of it.

9           So even in those studies, where it was  
10 orally administered, there was some blue dye tint  
11 to the urine and the feces, as I've said before.  
12 Most of it is actually eliminated in feces anyhow.  
13 But the GI mucosa was not tinted on gross necropsy  
14 or histopathology.

15           But to compare this, again, the dose that  
16 one might take of this blue dye could be up to  
17 156 milligrams a day in humans. And that is, from  
18 my calculations, about two orders of magnitude  
19 below where we see this blue discoloration.

20           DR. ZACHAROFF: I understand. It just has  
21 to do with the science of urine drug testing, how  
22 they measure absorption of light and whether or not

1 it's been tested, so everything taken.

2 The other question is that I've heard  
3 discussed a couple of times by different members  
4 representing the sponsor about cutting the pill in  
5 half, and I'm wondering if any testing has been  
6 done on pills cut in half taken orally to see if it  
7 affects in any way the pharmacokinetics.

8 So if someone cuts the pill in half, because  
9 it sounds like you can, and then takes it orally,  
10 does it affect the extended-release mechanism, and  
11 do you have any data with respect to the answer to  
12 that question? Has any testing been done

13 DR. ODIDI: No testing has been done in  
14 humans for that, but we do have dissolution data.

15 DR. ZACHAROFF: Thank you.

16 DR. ODIDI: I'd like to call on Dr. Sellers  
17 to try and throw some perspective on the last  
18 discussion before your question. I think that's  
19 important.

20 DR. SELLERS: Just in response to  
21 Dr. Zacharoff's question about the urine testing,  
22 most of these of course are immunologically-based

1 tests, and the blue dye will not cross-react with  
2 opiates and other drugs of abuse that are looked  
3 for in these tests. So I think that's a very, very  
4 remote likelihood that would ever be a problem.

5 DR. BROWN: We are now going to break for  
6 lunch. We will reconvene again in this room in one  
7 hour from now at 2:00 p.m. We will get to the rest  
8 of everybody's questions prior to the time that we  
9 go to discussion and voting.

10 Please take any personal belongings you may  
11 want with you at the time. Please remember that  
12 there should be no discussion of the meeting,  
13 during lunch, amongst yourselves, with the press,  
14 or with any member of the audience.

15 (Whereupon, at 12:58 p.m., a lunch recess  
16 was taken.)

17

18

19

20

21

22



A F T E R N O O N S E S S I O N

(2:00 p.m.)

1  
2  
3 DR. BROWN: Before we start the open public  
4 hearing portion of our meeting, Dr. Staffa had some  
5 clarifying comments that she wanted to make.

6 DR. STAFFA: Thank you, Dr. Brown.

7 This is Judy Staffa from FDA. I guess, as a  
8 follow-up to some of the comments this morning  
9 about the epidemiologic data that were presented by  
10 the sponsor, that were published by Purdue about  
11 the impact of the abuse-deterrent OxyContin, I just  
12 want to caution the committee on interpreting those  
13 data.

14 We just had a public meeting a couple weeks  
15 ago where we talked about the many methodologic-  
16 and data-related concerns we have with the ability  
17 of us, or sponsors, or anyone to adequately  
18 evaluate the impact of abuse-deterrent formulations  
19 right now.

20 So I don't want to go into all the details,  
21 but if you look at these publications with a  
22 critical eye, they can look rather appealing at

1 first blush, but for example, what was presented  
2 was really just the decreases in OxyContin, but  
3 there were many other things going on in 2010, 2011  
4 as well. There were a lot of decreases in a lot of  
5 the abuse of other products, too.

6 So we continue to struggle with this, and we  
7 continue to try to provide sponsors the best  
8 possible advice we can on how to do their  
9 postmarketing required studies. But there's a  
10 reason why none of the products has labeling yet,  
11 because we're trying to figure out how do we get  
12 rigorous scientific data to show that.

13 So I just wanted to throw that out there to  
14 make sure the committee understood our stance on  
15 that. And Dr. Hertz had alluded to that in her  
16 comments as well. So thank you.

17 **Open Public Hearing**

18 DR. BROWN: Thank you, Dr. Staffa.

19 We're going to begin the open public  
20 hearing. Both the Food and Drug Administration and  
21 the public believe in a transparent process for  
22 information-gathering and decision-making. To

1 ensure such transparency at the open public hearing  
2 session of the advisory committee meeting, the FDA  
3 believes it is important to understand the context  
4 of an individual's presentation.

5 For this reason, FDA encourages you, the  
6 open public hearing speaker, at the beginning of  
7 your written or oral statement, to advise the  
8 committee of any financial relationship that you  
9 may have with the sponsor, its product, or if  
10 known, its direct competitors.

11 For example, this financial information may  
12 include the sponsor's payment for your travel,  
13 lodging, or other expenses in connection with your  
14 attendance at the meeting. Likewise, the FDA  
15 encourages you, at the beginning of your statement,  
16 to advise the committee if you do not have any such  
17 financial relationships. If you choose not to  
18 address this issue of financial relationships at  
19 the beginning of your statement, it will not  
20 preclude you from speaking.

21 The FDA and this committee place great  
22 importance in the open public hearing process. The

1 insights and comments provided can help the agency  
2 and this committee in their consideration of the  
3 issues before them.

4 That said and in many instances and for many  
5 topics, there will be a variety of opinions. One  
6 of our goals today is for this open public hearing  
7 to be conducted in a fair and open way, where every  
8 participant is listened to carefully and treated  
9 with dignity, courtesy, and respect. Therefore,  
10 please speak only when recognized by the  
11 chairperson.

12 As an addition to that, each of the speakers  
13 has been allotted a specific amount of time, and we  
14 would appreciate it if everybody would try to keep  
15 to that time.

16 So will speaker number 1 step up to the  
17 podium and introduce yourself? Please state your  
18 name and any organization you are representing for  
19 the record.

20 DR. POLANIN: Thank you for the opportunity  
21 to speak today. My name is Dr. Megan Polanin. I  
22 am a senior fellow at the National Center for

1 Health Research. Our research center analyzes  
2 scientific and medical data and provides objective  
3 health information to patients, providers, and  
4 policymakers. We do not accept funding from the  
5 drug or medical device industry, so I have no  
6 conflicts of interest.

7 The development of opioids formulated to  
8 prevent abuse is a public health priority, and we  
9 support the FDA's efforts to encourage their  
10 development. The FDA states that a product that  
11 has abuse-deterrent properties means that the risk  
12 of abuse is lower than it would be without such  
13 properties.

14 The in vitro testing demonstrates that  
15 compared with reformulated OxyContin ER, IPC Oxy  
16 displayed similar and, in some cases, superior  
17 abuse-deterrent properties. For example, IPC Oxy  
18 was more difficult to get into a syringe for  
19 typical recipes used to abuse reformulated  
20 OxyContin ER. However, please keep in mind the  
21 comparison to a so-called abuse-deterrent opioid  
22 currently on the market does not necessarily set a

1 high standard.

2 The FDA states that the development of an  
3 abuse-deterrent opioid product should be guided by  
4 the need to reduce the abuse known or expected to  
5 occur with similar products.

6 Based on this criterion, the results of  
7 in vitro testing provide promising evidence that  
8 IPC Oxy provides incremental improvement to deter  
9 intravenous abuse over reformulated OxyContin ER.  
10 However, with no data from pharmacokinetic and  
11 clinical abuse potential studies, it is difficult  
12 to make assumptions about IPC Oxy's effects in the  
13 real world.

14 Proving whether IPC Oxy's abuse-deterrent  
15 properties are effective in the real world will  
16 require data from categories 2 and 3 studies. For  
17 example, as mentioned during discussion today, the  
18 blue dye intended to deter abuse could be novel or  
19 intriguing for teenagers. It is also unclear from  
20 the available evidence how much is known about  
21 whether this ADF could have unexpected health  
22 effects when used long term or abused.

1           We know from previous experience that once  
2           opioids designed to deter are on the market, they  
3           tend to be abused more extensively than laboratory  
4           studies suggest. That is exactly what happened  
5           with reformulated Opana ER, which FDA recently  
6           decided should be taken off the market.

7           Although the available data suggests that  
8           this drug will be less likely to be abuse  
9           intravenously, individuals who are addicted are  
10          highly motivated to overcome those deterrents.

11          Opioid addiction is an epidemic in the U.S.  
12          and labeling a drug as abuse deterrent influences  
13          doctors, patients, and family members. We commend  
14          the FDA for making it a priority to find ways to  
15          adequately explain risks in the label, including  
16          distinguishing between the risk of abuse and the  
17          risk of addiction.

18          At the FDA's recent public workshop on  
19          opioids, Commissioner Gottlieb said, "We don't want  
20          to improperly convey a perception that a product  
21          that is resistant to manipulation and abuse is  
22          somehow also less prone to fueling addiction, when

1 that is simply not true." We strongly agree with  
2 Commissioner Gottlieb's statement. Unfortunately,  
3 many doctors think abuse deterrent means an opioid  
4 is less addictive.

5 To be a part of the solution rather than  
6 part of the problem, the FDA should require  
7 sufficient evidence that this drug's abuse-  
8 deterrent properties result in meaningful  
9 reductions in abuse, misuse, and related adverse  
10 clinical outcomes.

11 The two FDA-approved abuse-deterrent single-  
12 ingredient oxycodone opioids included  
13 pharmacokinetic and clinical abuse potential  
14 studies before receiving the abuse-deterrent  
15 designation. The FDA should not use a lower  
16 standard for approval for IPC Oxy as abuse  
17 deterrent.

18 It is important for this panel and the FDA  
19 to make approval decisions based on good science  
20 and strong data. Do the current data represent  
21 rigorous evaluation of IPC Oxy's impact? Do we  
22 know enough to determine the real-world impact of



1 this opioid formulation intended to be abuse  
2 deterrent?

3 To reduce the opioid epidemic, FDA must hold  
4 pharmaceutical companies to a high standard with  
5 clear evidence. We do not believe IPC Oxy should  
6 be designated as abuse deterrent unless that is  
7 clearly proven, as we are concerned about  
8 unexpected impacts of the formulation without  
9 pharmacokinetic and clinical abuse potential  
10 studies.

11 We urge the committee to vote that there is  
12 not sufficient data for this product to support  
13 inclusion of language regarding abuse-deterrent  
14 properties in the product label for the IV route of  
15 administration.

16 In order to be labeled as abuse deterrent,  
17 we urge you to recommend that data from  
18 categories 2 and 3 studies be required to provide  
19 evidence that IPC Oxy is abuse deterrent. If there  
20 is a reason that this data is not necessary, there  
21 needs to be sufficient justification so that a  
22 lower standard is not set for approvals of future

1 abuse-deterrent formulations.

2 Thank you for the opportunity to share our  
3 perspective.

4 DR. BROWN: Thank you. Could speaker  
5 number 2 step to the podium and introduce yourself?

6 DR. COHEN: Thank you. My name is Dan  
7 Cohen. I'm the chair of the Abuse Deterrent  
8 Coalition. I do not have a financial relationship  
9 with the sponsor. I am an officer of a competitor  
10 innovator, KemPharm, and I'm a board member of the  
11 Mid-Atlantic MedStar Hospital System.

12 The Abuse Deterrent Coalition was formed as  
13 a talk forum of ADF innovators, patient and issue  
14 advocates, and research groups to educate the  
15 public, policymakers, and the FDA on the importance  
16 of developing and expanding ADF technologies. The  
17 19 innovators in the AD Coalition have produced all  
18 10 ADF-labeled opioids and Intellipharmaeueutics is  
19 an original member of the coalition.

20 Is it reasonable to approve  
21 Intellipharmaeueutics's oxycodone hydrochloride  
22 extended-release tablets with abuse-deterrent

1 features focused on the ability to deter IV abuse?

2 As this panel is aware, existing products  
3 with ADF labeling have largely attempted to make  
4 products more resistant to tampering or less  
5 rewarding to abusers. This product does that as  
6 well, but it also attempts to discourage abuse by  
7 making abuse efforts themselves visible and  
8 noticeable.

9 One can imagine that the blue dye shows  
10 evidence of an abuser's effort to chew, snort, or  
11 inject the product will impact abusers and  
12 potentially discourage intranasal and intravenous  
13 abuse.

14 But focused on their request, preventing  
15 injection with prescription opioids has been one of  
16 the primary public health goals of abuse-deterrent  
17 formulations, because IV abuse is associated with  
18 more severe health consequences.

19 Data from recent peer-reviewed papers  
20 offered by the RADARS poison control center  
21 suggests that the relative risk of death or major  
22 adverse event for IV abuse routes is over 2.6 times

1 greater than abuse by oral methods alone. IV abuse  
2 was also associated with 6 percent of the new HIV  
3 diagnosis and 10 percent of all new AIDS diagnoses  
4 in the U.S. in 2015.

5 As this panel prepares to respond to today's  
6 voting questions, it is important to ensure that we  
7 are using appropriate and similar terms for the  
8 discussion. As our members and the agency, along  
9 with expert panels such as this one, have grappled  
10 with the fundamental issues, one key concern has  
11 been nomenclature.

12 Having different understandings of the terms  
13 being used will yield a faulty decision and not  
14 appropriately address the question. Those terms  
15 include core concepts such as abuse deterrence and  
16 who is the customer or the target of ADF  
17 technology.

18 There are many real and potential attributes  
19 of what is ADF. What is not under consideration  
20 today is IPC's oxycodone hydrochloride capabilities  
21 as an abuse prevention formulation or APF. There  
22 is no APF. Products with ADF technology do not and

1 are not expected to prevent abuse of scheduled  
2 products, only to lower through deterrence the  
3 abuse potential of the products.

4 To save lives, we must adopt the science  
5 that is possible today and not wait for what we  
6 hope will be a technology tomorrow. As we achieve  
7 therapies for patients while making abuse, misuse,  
8 and diversion of important medications as difficult  
9 as possible within the bounds of known science, ADF  
10 will get more effective. But we cannot get to  
11 future innovation by failing to approve current  
12 discovery.

13 But to give full meaning to this as well, it  
14 is important to agree on another term. Who is the  
15 customer for ADF? Most of the discussion, data,  
16 and anecdotal stories reviewed, including today,  
17 have focused primarily on the addicted and/or the  
18 criminal abusers of drugs, but little focus has  
19 been on the misusers.

20 Abuse deterrents are best understood as a  
21 technology that reduces the risk of misuse and  
22 diversion by focusing primarily to the point of

1 exclusivity on the opiate-naïve and early-stage  
2 recreational abusers.

3 ADF is not a technology capable of  
4 effectively deterring a professional manipulation,  
5 a desperate addict, or highly experienced abuser.  
6 ADF is an early-stage intervention designed to  
7 reduce prescription drug abuse. It is a lone tree  
8 in a large forest that plays a key role in  
9 deterring people, children, from progressing on the  
10 path to addiction. It is not a silver bullet that  
11 can solve the entire problem.

12 There is room for incremental improvement  
13 offered today by IPC Oxy to deter abuse via the IV  
14 route. 2015 FDA guidance for industry on  
15 evaluation and labeling of abuse-deterrent opioids  
16 acknowledges that the FDA expects the market will  
17 foster iterative improvements in products with  
18 abuse-deterrent properties.

19 IPC Oxy was developed to address the public  
20 health need for incremental improvement in abuse-  
21 deterrent technology, particularly for the IV  
22 route, which is the most dangerous route of abuse.

1           To sum up, as you consider your  
2       recommendations this afternoon, I urge you not to  
3       seek to make the perfect the enemy of the good.  
4       Oxycodone hydrochloride is a common target of abuse  
5       with relatively high rates of intranasal and IV  
6       routes of abuse. The data presented this morning  
7       demonstrates that IPC Oxy is a product that can be  
8       expected to offer both abuse deterrence and a  
9       similar safety and efficacy profile to its  
10      comparator product, the pure definition of an ADF.

11           Overall, these results demonstrate that IPC  
12      Oxy would be an effective extended-release opioid  
13      analgesic with incremental improvements in abuse  
14      deterrence via the IV route. Thank you.

15           DR. BROWN: Could speaker number 3 step up  
16      to the podium and introduce yourself?

17           DR. CICHON: Good afternoon. I'm Charlie  
18      Cichon. I'm the executive director of the National  
19      Association of Drug Diversion Investigators, NADDI,  
20      and I have no relationship with the sponsor.

21           NADDI is the leading drug diversion training  
22      organization in the U.S., with the largest

1 networking platform of professionals involved in  
2 the field of pharmaceutical drug diversion. Our  
3 platform provides the opportunity to bring diverse  
4 viewpoints, education, supports, and resources to  
5 the individuals facing the challenges in the fight  
6 against the misuse and abuse of pharmaceutical  
7 drugs.

8 Relief from pain is important to millions of  
9 individuals who suffer from chronic illness, and  
10 prescription drugs such as opioids have proven a  
11 valuable tool in the relief process. However, the  
12 potential for abuse of prescription drugs,  
13 especially opioids, presents a significant risk.  
14 And as we are all aware, the misuse and abuse of  
15 opioids has reached epidemic levels in many of our  
16 states.

17 NADDI's organization works to develop and  
18 implement solutions to the problem of prescription  
19 drug abuse, misuse, and diversion. We advocate for  
20 the responsible use of prescription drugs by people  
21 who need them.

22 Our primary focus is training and education



1 for our members, which include law enforcement  
2 personnel, regulatory agents, healthcare  
3 professionals, healthcare fraud investigators, and  
4 the pharma industry.

5 Continuing progress in the field of pain  
6 management evolves a juggling act that balances the  
7 needs and interests of those involved. The  
8 development process involves all the stakeholders  
9 in the medical treatment of pain, clinical, legal,  
10 regulatory, law enforcement, and industry.

11 NADDI recognizes that no one approach to  
12 maintaining this critical balance will succeed  
13 unilaterally. Therefore, we support ongoing  
14 interaction and cooperation among all who can  
15 impact the access to competent healthcare and who  
16 could affect diversion and abuse of medications.

17 A scientific approach was taken to reduce  
18 illegal street activity, and in speaking with and  
19 surveying our members at our trainings throughout  
20 the U.S., it appears likely that the rates of  
21 diversion decreased dramatically after the  
22 introduction of reformulated opioids.

1           The new drug application under review of  
2           oxycodone hydrochloride extended-release oral  
3           tablets has been formulated with the intent to  
4           deter abuse. Adding new physical and chemical  
5           features to prescription opioids to deter abuse  
6           could also reduce misuse of these drugs and  
7           sometimes, unfortunately, the deadly consequences.  
8           These products can be part of a comprehensive  
9           approach, which should include prevention,  
10          interdiction, prosecution, and substance abuse  
11          treatment.

12           While the first generation of abuse-  
13          deterrent formulations have reduced abuse and  
14          diversion, any advances in this technology that  
15          would further erode the street value of opioids and  
16          maintain access to the individuals who benefit from  
17          their relief would be welcomed.

18           In short, I believe that abuse-deterrent  
19          formulations of opioids can interrupt the abuse  
20          trajectory for these medications by preventing  
21          manipulation for nasal and intravenous abuse.

22           This is true whether the drug is obtained by

1 prescription or is diverted to an unintended user.  
2 NADDI supports expanding access to ADFs in order to  
3 reduce prescription drug abuse, misuse, and  
4 diversion.

5 Due to the ongoing problems with the  
6 pharmaceutical drug abuse, misuse, and diversion in  
7 the U.S., we are a strong proponent of new abuse-  
8 deterrent medicines that make it more difficult for  
9 an abuser and reduce law enforcement involvement in  
10 healthcare. Thank you again for allowing me to  
11 provide testimony before the committees. Thank  
12 you.

13 DR. BROWN: Will speaker number 4 step up to  
14 the podium and introduce yourself?

15 DR. BRASON: My name is Fred Brason from  
16 Project Lazarus, and I have no disclosures this  
17 morning. Thank you, Committee, for giving me the  
18 opportunity to share today with the slides that I'm  
19 sharing. I'm letting you know that we all are in a  
20 place today in the United States, in our  
21 communities, where we've never been before, so when  
22 the music changes, so does the dance. We all have

1 to do something different from the FDA to the  
2 manufacturer to the community. And that's what  
3 Project Lazarus does to help.

4 We took a comprehensive approach, and it all  
5 is necessary to combine everything together in what  
6 we can do to make a difference to stop and prevent  
7 overdoses, but also to present responsible pain  
8 management that is safe for the patient, family,  
9 and caregivers, but also to promote substance-use  
10 treatment and support services.

11 So it takes a comprehensive approach that's  
12 facilitated within our communities, but we have  
13 social issues that bring about a lot of the  
14 problems that we're having. And as Malcolm  
15 Caldwell said, "Epidemics are sensitive to the  
16 conditions and circumstances of the times and  
17 places in which they occur."

18 Sadly, I report from my own community,  
19 Wilkes County, North Carolina, we began with  
20 moonshine a long, long time ago, and,  
21 unfortunately, it's still there, too. But then we  
22 moved into marijuana, methamphetamine, and then

1 medicine, are issues we have within our company  
2 largely because of the social determinative factors  
3 that we have within our community.

4 This shows you on this slide the amount of  
5 people that are pulled into round-ups from selling  
6 mostly prescription pain medications and  
7 benzodiazepines within our community, mostly gained  
8 from outside the community because they can no  
9 longer find it from within.

10 But it just shows you the number of people  
11 because of the economic factors and the poverty  
12 factors, the depression factors that we have. And  
13 most of these are diverted and they are not abuse-  
14 deterrent formulations that are.

15 In 2007, we were the third worst county in  
16 the United States for prescription medication  
17 overdoses. From 2000 to 2014, by the Pew  
18 Charitable Trust, that study showed that Wilkes  
19 County was number two in the country for income  
20 loss. Is there a correlation? Absolutely.

21 When we work with prescribers, they not only  
22 just look at the pain of what the individual is

1       experiencing and the reasons for that, they have to  
2       look at the genetic factors, the cultural factors,  
3       the substance-use factors, the mental health  
4       factors, and the environmental factors.

5               That patient can be the perfect patient,  
6       taking everything correctly, making sure that it's  
7       stored securely, but in the environment that we now  
8       live in, unfortunately, in many of our communities,  
9       there's somebody else that's looking for that  
10      medication, somebody else that's seeking to buy  
11      that medication, or to steal that medication, or  
12      the substance-use issues history of that  
13      individual.

14             All of that has to be weighed before writing  
15      that prescription from our prescribers, and abuse-  
16      deterrent formulations at least stops and deters  
17      the diversion of that product.

18             When we worked with the military, we found  
19      the same thing in the co-prescribing of naloxone  
20      and working with the shoulders there that  
21      unfortunately were experiencing overdoses. Now, in  
22      Fort Bragg, in the Army, every refill is an abuse-

1       deterrent formulation to ensure that diversion does  
2       not take place. It lessens that probability.

3               We also learned from the Massachusetts  
4       Division of Public Health preliminary study that at  
5       least 2 out of every 3 people who die of an opioid  
6       overdose had been prescribed an opioid between 2011  
7       to 2014. But at the time of death, only 8.3 had an  
8       active opioid prescription.

9               Therefore, that tells us that the majority  
10       came from diverted medications that they received  
11       elsewhere either bought, stolen, or freely shared,  
12       again just showing us the prominence of diversion  
13       and the force behind that, what is occurring within  
14       our communities.

15               When we look at North Carolina, the  
16       injecting use that is going on, it's been on an  
17       upswing for years. And we're hoping to abate the  
18       HIV and hep-C issues that compound all of that. So  
19       we're working on that.

20               But again, anything that can deter that from  
21       occurring is very beneficial. I just talked with  
22       Washtenaw County. We work with a number of places

1 in Michigan. As injection drug use accelerates the  
2 patient severity of opioid addiction, it is  
3 important that we continue to monitor injection  
4 drug use.

5 This comment was made. "Seven percent of  
6 Washtenaw County Middle School students identify  
7 having used a needle to inject illicit drugs." I  
8 had to make a phone call when I got that and said  
9 give me some more information; where is this coming  
10 from?

11 Well, it comes from self-reporting surveys  
12 within the schools, no particular drug indicated.  
13 They checked their last year's survey. They  
14 checked their county comparables around them, and  
15 they all came up with similar numbers. This tells  
16 us the extent to what we're dealing with, and,  
17 again, any deterrent from moving people into that  
18 is beneficial.

19 This is an individual on my own staff as a  
20 peer recovery specialist, who is now in long-term  
21 recovery and working with those looking for it.  
22 But she described her experience when she first



1 experienced a prescription medication. "I remember  
2 what I was wearing, what everything smelled like,  
3 even what the weather was like outside." She  
4 added, "A friend of mine gave me a 30-milligram.  
5 That was when I was first introduced to it. I  
6 crushed it up and snorted it" -- she had never done  
7 anything with prescription medications until that  
8 time -- "and the immediate result was complete and  
9 total euphoria on a level that I had never  
10 experienced in my life.

11 "It was. It was bliss. It was a  
12 contentment that I had never known. It was  
13 instant. I was in love. I felt beautiful and like  
14 I belonged. From that first pill, that one teeny  
15 tiny pill, I was totally overwhelmed."

16 Not everybody is escalating from oral to  
17 snorting to injection. We are seeing more and more  
18 going right to injection within our communities.  
19 And any way that we can deter that from occurring  
20 is going to be beneficial. Thank you very much.

21 DR. BROWN: Will speaker number 5 step up to  
22 the podium and introduce yourself?

1 DR. TWILLMAN: Good afternoon. My name is  
2 Bob Twillman. I'm the executive director of the  
3 Academy of Integrative Pain Management. I have no  
4 conflicts of interest to declare.

5 The AIPM is a multi-disciplinary  
6 organization to pain care clinicians, including  
7 members from just about every healthcare profession  
8 you can imagine. We espouse a model of integrative  
9 pain management, recognizing the important role  
10 played by traditional biomedical treatments for  
11 pain such as medications and procedures, but also  
12 advocating for additional treatments that may  
13 supplement, compliment, or even replace them in the  
14 service of providing maximal improvement in pain  
15 and functional status for people with pain.

16 The AIPM is keenly aware that opioid pain  
17 relievers and other controlled substances have  
18 become controversial because of their prominence of  
19 prescription drug abuse. We've been extremely  
20 active in a variety of policy advocacy efforts  
21 related to the two major public health issues of  
22 concern, inadequately-treated pain and prescription

1 drug abuse.

2 One subject of these efforts, which is the  
3 purpose of today's meeting, is the development and  
4 uptake of so-called abuse-deterrent technology for  
5 controlled substances. We believe that this  
6 technology represents a significant advance in  
7 protecting people from unintentional overdose  
8 resulting from their efforts to abuse opioid  
9 analgesics by altering their native forms to  
10 facilitate insufflation and/or injection.

11 The experience to date with the one product  
12 that has sufficient history to use to permit  
13 evaluation demonstrates that ADS may very well  
14 prevent a significant number of individuals from  
15 engaging in this dangerous behavior, thus providing  
16 a meaningful benefit.

17 But let's be clear, though, about what we  
18 should expect from these products. These products  
19 by themselves will not solve the problem of  
20 prescription opioid abuse. To expect that they  
21 would do so would be comparable to expecting that  
22 the introduction of the Tesla would solve global

1 warming.

2           These products are designed to prevent  
3 alteration of the native drug form so as to  
4 facilitate insufflation and injection. In the case  
5 of the current product, the manufacturer is only  
6 requesting labeling reflecting its ability to deter  
7 injection. These outcomes should be the criteria  
8 by which their effectiveness is measured.

9           We are grateful to FDA for its efforts to  
10 support the ongoing development of ADF technology.  
11 We also recognize, as I'm sure everyone else here  
12 does, too, that this is not a static process with a  
13 well-defined endpoint. People who tamper with  
14 these products in order to abuse them are very  
15 creative, and history has shown that they are  
16 adapted, overcoming efforts to thwart them.

17           Thus, we find ourselves in a sort of  
18 continuing arms race, needing to constantly develop  
19 newer and better technologies in order to stay even  
20 a few steps ahead. For that reason, we want to  
21 take this opportunity to encourage both  
22 manufacturers and FDA to continue innovating in the

1 ADF space, developing new approaches that may be  
2 even more impervious to or discouraging of  
3 alteration, even if those new approaches only buy  
4 us a few years of relative success.

5 Our policy advocacy efforts related to ADFs  
6 also have focused on one of the troubling aspects  
7 of this form of innovation, namely the burden it  
8 places on people with pain who have no intent  
9 whatsoever to do anything other than to use their  
10 medication exactly as prescribed in order to obtain  
11 pain relief.

12 Unfortunately, the research and development  
13 process that produces these valuable new products  
14 is expensive. The cost of that process inevitably  
15 is passed along to consumers. The end result is  
16 that people with legitimate medical need for opioid  
17 analgesics, using them exactly as prescribed for  
18 pain relief, are forced to foot the bill for  
19 protecting others who are using the medications  
20 illegitimately in dangerous ways that were never  
21 intended.

22 It's patently unfair that this happens, and

1 while many patients can understand why it's a  
2 necessary evil that enables them to have access to  
3 their medications, we need to find ways to ensure  
4 that this unfair burden does not result in patients  
5 foregoing pain relief for financial reasons.

6 We'll continue working on this issue in  
7 federal and state legislative bodies and regulatory  
8 agencies, hoping that more will emulate success as  
9 seen to date in Massachusetts and Maryland.

10 While we do that, hoping that others will  
11 join us to overcome opposition derived from the  
12 fiduciary interests of the insurance lobby, we hope  
13 that FDA will continue to encourage and that  
14 manufacturers will continue to pursue innovations  
15 that will bring us a few steps closer to the  
16 ultimate goal of being able to provide pain relief  
17 while minimizing risks to those who misuse these  
18 vital medications.

19 Thank you very much for the opportunity to  
20 speak today.

21 DR. BROWN: Will speaker number 6 step up to  
22 the podium and introduce yourself?

1 (No response.)

2 DR. BROWN: Will speaker number 7 step up to  
3 the podium and introduce yourself?

4 DR. JOHNSON: Thank you. My name is Mike  
5 Johnson. I'm an internist and a primary care  
6 doctor, and I'm here representing myself and my  
7 personal concerns about the safety of this drug. I  
8 don't have any financial interests in this company,  
9 but I do own stock in several competitors.

10 The concern that I have about this drug is  
11 not the PEO and it's not the oxycodone. It's the  
12 blue dye. And I learned more than 10 years ago  
13 that blue dye is a nasty biochemical with some  
14 significantly toxic properties.

15 I don't want to spend a lot of time. I have  
16 approached this like I do any problem in my  
17 practice with evidence-based medicine. So I've  
18 prepared a lot of slides for you, where I boiled it  
19 down to the evidence, and I hope you'll spend some  
20 time looking at my slides because they answer  
21 directly some of the questions that the committee  
22 members that have been asking about blue dye today.

1           The FDA has a responsibility to certify  
2 every use of every use of every color. There's no  
3 certification for blue dye to be used to intensely  
4 color the mucosa of the mouth, or of the nares, or  
5 of the hands. That has to be applied for. There  
6 has to be a safety review, and it has to be listed  
7 for that use.

8           There are only 7 colors that are approved  
9 for ingested drugs, and only 2 of them are blue. I  
10 suspected before today, confirmed it, that Rexista  
11 contains Aluminum Lake Blue Number 1 based on the  
12 patent information that's available.

13           In the patent on the overdose protection  
14 system, there were 34 examples, 6 of which  
15 contained ingredients for a dye, and all 6 of them  
16 used Aluminum Lake Blue Number 1. The minimum  
17 amount was 12.1 milligrams. The maximum amount was  
18 18.6 milligrams. And the amount that corresponded  
19 to the example that was oxycodone 80 milligrams had  
20 15.27 milligrams of Aluminum Lake Blue Number 1.

21           This is another question that was brought up  
22 earlier. Brilliant Blue is a common name for FD&C



1 Blue Number 1. It's a petroleum product. It was  
2 originally derived from coal tar. Coal tar is that  
3 murky substance that results when you try to break  
4 down coal into coal, gas, and coke. And it  
5 contains a lot of organic chemicals, including all  
6 of the artificial dyes, which were originally  
7 derived from coal tar.

8 Blue Number 1 is known to cross the blood-  
9 brain barrier. The acceptable daily limit of Blue  
10 Number 1 based on the FDA information is entirely  
11 on animal studies. There are no human studies to  
12 support this number, and the animal studies that  
13 were done were all done in animals that had healthy  
14 guts.

15 So the animal studies all pre-date the 2003  
16 FDA advisory that I'll talk about, that warned  
17 about possible systemic toxicity when systemic  
18 absorption of blue dye occurs in patients at risk  
19 for intestinal permeability changes.

20 This is just an example here of the uses  
21 that have to be certified by the FDA. In 1969,  
22 Blue Number 1 was certified for ingested drugs in

1 food, but it wasn't until 1982 that it was  
2 certified for external use as a cosmetic. And even  
3 that use, there was a carve-out for the eye area.  
4 There were no safety studies to support its use  
5 around the eye, so it wasn't allowed.

6 Blue Number 2 is still not allowed to be  
7 used in the eye area because there are no safety  
8 studies. But we're going to allow it to be used to  
9 intensely color the mucous membranes in the nares  
10 when we won't even allow it around the eye.

11 This picture is also from the patent, so  
12 it's not in color, but it serves the purpose. You  
13 can see that the blue is not on the outside of the  
14 tablet. The blue dye is not being used to color  
15 the tablet. It's all homogenous on the core.

16 So it's clearly not there to color the  
17 tablet, and if it were there, it wouldn't qualify  
18 for good manufacturing practices. If your  
19 intention was to make a blue tablet and that's what  
20 you came up with, you wouldn't keep your GMP  
21 certification for very long.

22 The FDA advisory says that blue dye is used

1 to color food products at generally parts per  
2 million, but the patent says that the core of  
3 Rexista is 4 percent blue dye. That's parts per  
4 hundred. That's 4 decimal places. That means that  
5 Rexista delivers blue dye at a concentration that's  
6 hundreds of times good manufacturing practices for  
7 food products.

8 This is the point of chronic therapy, which  
9 is M&Ms. I messed up this slide because I said 77  
10 blue M&Ms every day, but it's 77 every dose. And  
11 that's assuming that there's 6.1 milligrams of  
12 straight blue dye. Actually, there's 3.1 according  
13 to Dr. Odidi, so it's pretty close then, 77 blue  
14 M&Ms every day to get the amount of blue dye that a  
15 Rexista user gets.

16 It's Aluminum Lake, and Aluminum Lake is not  
17 stable at low pH, like in gastric pH. You get  
18 color bleeding, and that straight blue dye bleeds  
19 from the alumina substrate.

20 This is an active ingredient. It changes  
21 the user. It has direct effects. It's meant to  
22 act as a deterrent and an early warning sign. This

1 is not an inactive ingredient. It's not an  
2 excipient. It fits every definition of the FDA's  
3 definition of an active ingredient.

4 Not only does it have an effect on disease,  
5 but it also has biological activity. Brilliant  
6 Blue and all of the triphenyl methane dyes have  
7 significant inhibitory effects on the ADP/ATP  
8 translocase, which is a very important protein in  
9 the inner membrane of mitochondria. It makes up 10  
10 percent of the inner membrane of the mitochondria  
11 and uses 30 percent of the energy created by the  
12 energy transport chain.

13 When you have --

14 DR. BROWN: Dr. Johnson, could you finish  
15 up, please, sir?

16 DR. JOHNSON: I will. I want to point out  
17 that the FDA in 2003 issued an advisory that said  
18 we should not be putting blue dye in tube feeds.  
19 There were 12 reported deaths with blue dye. The  
20 Canadians issued a similar alert.

21 What they said was that there was  
22 significant risk for patients with increased gut

1 permeability from trauma, shock, celiac sprue,  
2 inflammatory disease. This is what happens when  
3 you turn blue with dye. When dye is put in your  
4 tube feeds, you can turn blue, and you can die from  
5 refractory hypotension and acidosis.

6 DR. BROWN: Dr. Johnson, could you please  
7 finish up?

8 DR. JOHNSON: I will. I will. This is what  
9 it looks like when it accumulates in the gut. This  
10 is Blue Dye Number 1 at autopsy.

11 DR. BROWN: Dr. Johnson, I appreciate the  
12 fact that you've put this together, but your time  
13 is up.

14 DR. JOHNSON: [Inaudible - off mic].

15 DR. BROWN: Thank you very much.

16 Presenter number 8?

17 DR. WOLFE: Sid Wolfe. I've put on the  
18 front slide the most important thing -- other than  
19 that, I don't have any financial conflicts of  
20 interest -- is that this product has not been  
21 adequately studied.

22 These are the direct questions that you're

1 going to be asked to discuss this afternoon. Just  
2 look at the underlined one, which is whether it's  
3 appropriate to consider labeling this product for  
4 abuse-deterrent properties for a single route  
5 without complete assessment of all relevant routes  
6 of abuse.

7 Dr. Tolliver nicely reviewed the idea that  
8 the guidance says that you need to do not only the  
9 tampering phase 1 study, but also the abuse-related  
10 pharmacokinetic/pharmacodynamic and the human abuse  
11 potential studies, and the company has only done  
12 this one.

13 This is a slide taken from the FDA data, and  
14 it's looking at all of the 9 other -- prior to the  
15 one today -- abuse-deterrent drugs that were put on  
16 the market. The common denominator is that all of  
17 them, before getting any kind of abuse-deterrent  
18 labeling, had human abuse potential studies. I  
19 believe they all had also the pharmacokinetic/  
20 pharmacodynamic studies as well. This is from 2009  
21 to 2017.

22 The FDA has never approved an abuse-

1       deterrent labeling in a product that did not have  
2       all three of these categories of studies. The  
3       initial approval of OxyContin did not have an  
4       abuse-deterrent labeling, and only got it when they  
5       had done all of these three kinds of studies.

6               The promise by the company was if you  
7       approve this for IV abuse, then we will do the  
8       studies that, unstated, we should have done before  
9       coming to you, as in the category 2 and category 3.  
10      Upon completion of those studies, then they'll try  
11      and get an indication on the label for reduced  
12      intranasal and oral abuse.

13             But that's really no way to regulate, and I  
14      think that if this ever got approved under these  
15      kinds of circumstances, this is a terrible message  
16      to the rest of the industry that you don't really  
17      have to follow the guidance, which has been in  
18      effect for two years now.

19             This is something that wasn't discussed  
20      before. The excipient issue obviously involves  
21      polyethylene oxide and blue dye, Brilliant Blue  
22      Number 1, as you just heard, and the sodium lauryl

1 sulfate, a nasal irritant. But there has not been  
2 any discussion of the inactive ingredients.

3 This is the current labeling on OxyContin.  
4 "It can be expected, if used parenterally, to  
5 result in local tissue necrosis, infection,  
6 pulmonary granulomas, and increased risk of  
7 endocarditis and valvular heart injury."

8 I have no idea, and we have not heard any  
9 discussion, of what the inactive ingredients there  
10 are because since it is likely there will be some  
11 kind of IV abuse, parenteral abuse, it would be  
12 nice to know what is going on; another incomplete,  
13 as were a number of other questions asked. The  
14 company hadn't done a lot of these.

15 These are towards the end of the discussion  
16 questions. The second and third, I'll just  
17 mention. Again, this is on the excipients without  
18 really knowing about potential risk of exposure via  
19 these non-IV routes of abuse that have not been  
20 shown and are not intended to contribute to the  
21 proposed -- the FDA is essentially saying, why has  
22 this stuff, which is in there, a dye, whatever



1 else, why has it not been adequately studied. And  
2 I think this essentially links with the idea that  
3 you have to do all three categories of studies.

4 The last one, discuss whether it is possible  
5 to determine an acceptable level of risk for  
6 excipients that may be toxic by unintended routes  
7 of administration. There aren't any data on that,  
8 and so the answer I believe is no.

9 This is up again just to remind people that  
10 this would be precedent setting to agree to approve  
11 a drug with abuse deterrent that had not had all  
12 three categories.

13 Finally, the voting questions, has the  
14 applicant demonstrated the oxycodone extended-  
15 release tablet has properties that can be expected  
16 to deter abuse by the IV routes of administration?  
17 I would say clearly no, one, because to get the  
18 bigger picture of abuse, you need to do all three  
19 categories of studies, and secondly, there are no  
20 data on parenteral use risks of inactive  
21 ingredients or the excipients, both categories,  
22 meant to deter oral and/or nasal use.

1           The third one is, should it be approved or  
2 not. And since I don't believe there is a  
3 consideration to approving it with no abuse  
4 deterrent, because I don't believe there are  
5 oxycodone products on the market with that, it's in  
6 reality a question about approval without any  
7 abuse-deterrent labeling.

8           I don't think that this is allowed, and I  
9 certainly disagree with the idea of approving this  
10 drug. It needs to go back to the drawing board. I  
11 have no idea what it would show. Certainly, in  
12 previous drugs, the human abuse potential studies  
13 revealed things that were very important to  
14 consider in terms of the overall picture of abuse  
15 deterrence. Thank you.

16           DR. BROWN: Could speaker number 8 step to  
17 the podium and introduce yourself?

18           DR. THOMPSON: It's number 9.

19           DR. BROWN: Excuse me, number 9.

20           DR. THOMPSON: Hello and good afternoon.  
21 I'm Edwin Thompson, president of Pharmaceutical  
22 Manufacturing Research Services. Beginning with

1 the relabeling of OxyContin in 2001, the FDA has  
2 unlawfully allowed extended-release opioids to be  
3 marketed with chronic use labeling, despite a lack  
4 of evidence to support the chronic use indication.

5 Even today, there remains a lack of evidence  
6 that prescription opioids are effective or safe in  
7 the chronic pain setting. Indeed, the lack of  
8 evidence to support the efficacy of prescription  
9 opioids in the treatment of chronic pain has been  
10 recognized by the CDC.

11 The CDC guideline is the culmination of  
12 almost three years of work by the world's experts  
13 in epidemiology. The CDC is the ultimate authority  
14 on the opioid epidemic, having combined the  
15 resources and knowledge of top experts in the  
16 field, numerous rigorous studies, and a multitude  
17 of panels.

18 Some of the CDC's recommendations and  
19 conclusions in the guidelines include the few  
20 randomized trials to evaluate opioid efficacy for  
21 longer than 6 weeks, not chronic, 6 weeks, had  
22 consistently poor results.

1           The science of opioids for chronic pain is  
2 clear. For the vast majority of patients, the  
3 known serious and too often fatal risk far outweigh  
4 the unproven and transient benefits. The CDC is  
5 telling you efficacy is unproven.

6           Former director of the CDC, Dr. Thomas  
7 Frieden, and Dr. Debra Houry have provided perhaps  
8 the best summary of the consequences of the use of  
9 opioids for the treatment of chronic pain.

10           "Beginning in the 1990s, efforts to improve  
11 treatment of pain failed to adequately take into  
12 account opioids' addictiveness, low therapeutic  
13 ratio, and lack of documented effectiveness in the  
14 treatment of chronic pain."

15           The former director of the CDC is telling  
16 you there is a lack of effectiveness. Whereas the  
17 benefits of opioids for chronic pain remain  
18 uncertain, the risk of addiction and overdose are  
19 clear. We know of no other medication routinely  
20 used for non-fatal conditions that have killed  
21 patients so frequently.

22           Since the beginning, wrongfully approved

1 treatment of chronic pain, it is estimated that  
2 opioids have killed over 200,000 people. IPC Oxy  
3 must not be approved for the treatment of chronic  
4 pain in the face of this growing epidemic. The  
5 risks far outweigh -- far outweigh -- the benefits.

6 Furthermore, the CDC has observed that  
7 overdose risk increases in a dose-response manner  
8 with higher doses producing significantly higher  
9 rates of patient overdose and death. The risk at  
10 least doubles at 50 to 99 morphine milligram  
11 equivalents per day and increases up to 9 times at  
12 100 or more morphine milligram equivalents per day  
13 as compared with doses below 20 morphine milligram  
14 equivalents per day.

15 One of every 550 patients started on opioid  
16 therapy died of opioid-related causes in a median  
17 of only 2.6 years after their first opioid  
18 prescription. For patients receiving doses of 200  
19 morphine milligram equivalents or higher, the rate  
20 of patient death was as high as 1 in 32. With  
21 strengths as high as 80 milligrams per tablet, IPC  
22 Oxy dosed BID will deliver 240 morphine milligram

1       equivalents per day. This daily dose is expected  
2       to significantly increase the odds of both  
3       addiction and death.

4                You cannot in good conscience recommend a  
5       drug that kills 1 in 32 patients to treat non-fatal  
6       conditions. No healthcare professional can  
7       recommend the approval of a drug with this benefit-  
8       to-risk ratio. Before you firmly approve or vote  
9       on this matter, you must also be able to  
10       demonstrate substantial evidence of efficacy.

11               Although this is not one of the questions  
12       before the committee, it is your obligation and  
13       duty to consider the safety and the effectiveness  
14       of this drug.

15               The FDA has abdicated its responsibility on  
16       this matter by bringing before you yet another  
17       opioid indicated for chronic treatment. You cannot  
18       support the approval of IPC Oxy, putting it in the  
19       hands of physicians and their patients without  
20       evidence of efficacy. Thank you.

21                               **Clarifying Questions (continued)**

22               DR. BROWN: Thank you.

1           The open public hearing portion of this  
2 meeting has now concluded, and we will no longer  
3 take comments from the audience. The committee  
4 will now turn its attention to address the task at  
5 hand, the careful consideration of the data before  
6 the committee as well as the public comment.

7           Prior to the time we do that, however, I  
8 want to take a few minutes and allow the members of  
9 the panel to ask some of the questions that they  
10 have been waiting patiently since this morning to  
11 ask. Dr. Habel?

12           DR. HABEL: Yes, thank you. I had a  
13 question about slide 15 that had to do with the  
14 expected TMA-related safety profile of the IPC Oxy  
15 versus OxyContin. And it really has to do with the  
16 greater gelling properties of IPC Oxy.

17           Even though the PEO is the same in the two  
18 products, I'm just wondering to what extent you  
19 have data that would indicate that there's no  
20 reason to believe that the extra gelling properties  
21 might not pose risks when the product is injected.

22           I don't know. Dr. Odidi?

1 DR. ODIDI: Yes, thank you very much. I  
2 would like to, with your permission, clarify three  
3 points that were discussed this morning, and one of  
4 those will be related to your question.

5 The first one has to do with the blue dye.  
6 This is to clarify that the blue dye in this  
7 product is Aluminum Lake FD&C Blue Number 1. And  
8 in the product, it contains between 13 milligrams  
9 and 16 milligrams.

10 Aluminum Lake FD&C Blue Number 1 consists of  
11 aluminum oxide and Blue Number 1 dye. The aluminum  
12 [indiscernible] is about 20 percent content, and  
13 the blue dye is about 31 percent content. But the  
14 compound, Aluminum Lake FD&C Blue Number 1, is  
15 between 13 milligrams and 16 milligrams in our  
16 product. So I wanted to clarify that.

17 I also want to call on -- in view of that, I  
18 will call on Dr. Bill Brock to just say one or two  
19 things. Then I will go to the next two things to  
20 talk about on aluminum toxicity and the blue dye.

21 DR. BROCK: So the question that I have just  
22 been asked to discuss is regarding aluminum



1 toxicity as it may dissociate from the Aluminum  
2 Lake blue dye.

3           The first thing we need to keep in mind is,  
4 as Dr. Odidi said, with the dissociation to  
5 aluminum, that would result in about 34 milligrams  
6 of aluminum if someone took 13 pills. To put that  
7 into perspective, the typical body burden of  
8 aluminum on a daily basis is about 30 milligrams.  
9 So it does exceed, but the daily intake from  
10 aluminum through the diet is about 3 to  
11 5 milligrams per day.

12           Moreover, to put this in further  
13 perspective, if one is taking an antacid like  
14 Maalox -- I do that occasionally -- the dose of  
15 aluminum from that is about 4 grams per day. So  
16 there is a great deal of variation in exposure to  
17 aluminum.

18           Now to the toxicology, the salt form here is  
19 aluminum hydroxide, and it's reacted with the dye  
20 to form the lake. So aluminum hydroxides are not  
21 well absorbed from the GI tract compared to other  
22 aluminum salts, like I think aluminum citrate is

1 pretty well absorbed.

2 Now, with respect to aluminum chloride, with  
3 respect to if absorbed, it is eliminated fairly  
4 rapidly from the plasma. Indeed, in IV  
5 administration of aluminum to humans, the clearance  
6 is about 15 minutes from the plasma. Now, there is  
7 some that remains, and actually, with radiolabeled  
8 aluminum, it can be detected out to 4 months.

9 Now, with respect to the specifics on  
10 administration to experimental animals, there have  
11 been some studies, repeated IV administration  
12 studies in dogs and rats. And in these studies,  
13 the doses were for dogs about a mg per kg per day  
14 out to 5 weeks. In this case, there was in fact  
15 aluminum deposition in the bone and osteomalacia.

16 In the rats with a similar IV  
17 administration, a 2-hour infusion at 40 mgs per kg,  
18 they do see increases of plasma calcium levels, all  
19 suggesting that there is aluminum deposition in  
20 bone and release of calcium.

21 DR. ODIDI: Thank you very much.

22 I want to talk on the second point. I

1 wanted to clarify earlier this morning, and it also  
2 leads to the question that you asked earlier on.  
3 I'd like to call on Dr. Ed Cone to discuss the  
4 impacts of these excipients and viscosity.

5 DR. CONE: Yes. I think this relates to the  
6 question that was just asked by the panel about  
7 viscosity and gelling. That's probably the most  
8 important factor in deterrence of IV injection.

9 IPC just recently acquired a viscometer that  
10 really accurately measures very viscous solutions,  
11 and we have that data. It's not been submitted to  
12 the FDA yet, but I think it'll shed some clarity on  
13 the real differences between the products. And I'd  
14 like to ask for permission to show just two slides.

15 Thank you. This is an illustration of the  
16 differences in viscosity. Viscosity is measured in  
17 CP, centipoises, on the Y-axis. And if you start  
18 out with a very small solution, you can see that  
19 the viscosity of IPC Oxy is over 2 times that of  
20 OxyContin. But viscosity is a very dynamic thing.  
21 If you start diluting the product down for  
22 injection to get it into a form that could be drawn

1 up into a needle and injected, you see on the far  
2 right, that's one of the larger volumes we used.  
3 And of course, when you dilute, the viscosity goes  
4 down. But even with this fairly large volume,  
5 we're at 8,800 centipoise compared to 1,000 for  
6 OxyContin, that's now 8.8 times more viscous.

7 A little perspective, I measured the  
8 viscosity of honey in the lab, and the particular  
9 brand we had was 8,000 centipoise. Water is 1. So  
10 I tried to syringe honey. You can't do it with the  
11 largest needle gauge we used. This is all with  
12 untreated material, and the real strength of IPC  
13 Oxy is the pre-treatment that overcomes the gelling  
14 properties of OxyContin, and that would be the next  
15 slide.

16 This is pre-treated by the common internet  
17 recipe for overcoming OxyContin, same product, same  
18 conditions. IPC Oxy retained a viscosity of 9,000  
19 compared to 3 for OxyContin. 3 is close to water,  
20 as you saw in that video. So now, in this case,  
21 after pre-treatment, it's 3,000 times more viscous  
22 than OxyContin.

1           This relates to your question. Almost in  
2 all circumstances -- or always, not almost. In all  
3 circumstances, when we were trying to syringe these  
4 products, treated or not treated, the viscosity was  
5 huge for IPC Oxy in relation to OxyContin.

6           So the real value in this product is, under  
7 almost all circumstances, it's too viscous to load  
8 into a syringe. We only found a few conditions  
9 where you could load even a little bit of IPC Oxy  
10 into a syringe. So I hope that clarifies a little  
11 something.

12           DR. ODIDI: Thank you very much.

13           You heard what Dr. Cone had to say. Is  
14 there a follow-up question?

15           DR. HABEL: But I guess my question is, if  
16 you are able to load it into a syringe and inject  
17 it, would that higher viscosity pose risks for  
18 thrombotic events or other kinds of -- I mean,  
19 you're kind of saying that it's almost impossible  
20 to get into a syringe, so we don't need to consider  
21 the conditions under which it can be injected.

22           DR. BROCK: If you continue to dilute, and

1 dilute, and dilute, you'd have this large volume of  
2 solution, and it could be drawn into a syringe.  
3 But by that time, there would be very little drug  
4 in comparison to what a drug abuser wants to  
5 inject.

6 DR. ODIDI: I'd like to call on Dr. Dart to  
7 discuss how likely it is that anyone would try to  
8 inject this because I think this is where all this  
9 is leading.

10 DR. DART: I think Ed stole my thunder,  
11 really, so I will keep it brief, which is, this is  
12 incredibly hard to aspirate into a syringe. It's  
13 not like the situation that Dr. Dasgupta was  
14 mentioning with the waxy temazepam, where you could  
15 heat that, and it actually would easily go into the  
16 syringe, and then you injected it quickly, not  
17 realizing it was just going to harden again when it  
18 hit the bloodstream.

19 So this one's hard, much more difficult to  
20 do that. So far, in every condition -- correct me  
21 if I'm wrong -- that they've tested, they can't  
22 find a condition where this isn't better than

1 OxyContin in terms of syringeability.

2 So there's kind of a decision analysis  
3 question here, which is, if I'm going to have that  
4 product available, would I not rather have another  
5 product that was more difficult to syringe than the  
6 one that's currently available? Personally, that's  
7 why I'm here, because I think that would be a good  
8 choice to make, but that's your decision to make.

9 In the end, there's still the issue about  
10 safety and, well, what if they somehow get this  
11 into the blood somehow? I can't figure out how  
12 they're going to do that, but let's say they do.  
13 But actually, the testing that's been done on this  
14 product is the same that's been done on any  
15 product.

16 So I know it's easy to focus on that, but  
17 really, all the products out there have things in  
18 them right now that are being injected that you  
19 don't know about and I don't know about. So that I  
20 think is a wash, where you have this product that's  
21 very hard to syringe.

22 DR. ODIDI: Finally, I would like a thought

1 clarification to do with education. I think a few  
2 questions came up about educational concerns of  
3 kids handling this. I want to emphasize that our  
4 company commitment to postmarketing activities and  
5 physician and patient education is paramount as we  
6 move into this area.

7 In addition to our label warnings, which I  
8 talked about, and participating in the REMS  
9 program, we will do long-term detailed monitoring  
10 of unusual issues and occurrences. That's our  
11 commitment. We are formulating a plan to educate  
12 parents, high school counselors, paramedics,  
13 pharmacists, and other healthcare providers on the  
14 blue dye and what it might signify.

15 Finally, because our product is unique, we  
16 will work closely with the FDA to design  
17 product-specific category 4 epidemiological  
18 studies.

19 DR. HERTZ: Since we just saw some new data  
20 that FDA hasn't had a chance to see before, I'd  
21 like to follow up with a question because there's a  
22 little bit of a difference of understanding, I



1 guess, based on the viscosity data and the  
2 syringeability. And I'm going to look at my people  
3 to make sure that I'm not speaking incorrectly.  
4 This is Sharon Hertz.

5 My understanding from the syringeability  
6 studies is that up to 40 percent of the API could  
7 be syringed under certain conditions. Is that  
8 correct? 51.8 percent? So there are conditions in  
9 which it is actually syringeable, independent of  
10 its comparison to OxyContin. Is that correct?

11 DR. ODIDI: Dr. Cone, please.

12 DR. HERTZ: It just looked like from the  
13 data that were just presented, that it's not  
14 syringeable, but when you're not comparing it to  
15 the conditions under which OxyContin is  
16 syringeable, there are still conditions in which  
17 this can be syringed. Is that correct?

18 DR. BROCK: Yes. Let me show you the slide  
19 I think you're referring to. This is a pre-treated  
20 product, and if you syringe at a very early time,  
21 you can get -- it hasn't quite gelled yet. So if  
22 you can syringe within this very short time frame,

1 you can pull some up into the needle. When we  
2 looked at it at later times, it was not  
3 syringeable.

4 So in this special case, you're absolutely  
5 right. We got 20 percent of the oxycodone early,  
6 at which time we got 40 percent of OxyContin now.

7 DR. HERTZ: But I think we also have data  
8 that show as much as 40 percent of the API from the  
9 product under review.

10 DR. BROCK: That may be possible. I don't  
11 have that slide in front of me. But again, it was  
12 most likely at the early time frame, when gelling  
13 hadn't completed.

14 DR. BROWN: Dr. Setoguchi Iwata?

15 DR. SETOGUCHI: My question was answered.  
16 Thank you.

17 DR. BROWN: Dr. Zeltzer?

18 DR. ZELTZER: Thank you. I guess I'm  
19 understanding a little better the differences when  
20 you're comparing the two, the long-acting deterrent  
21 conditions in terms of the viscosity. And  
22 obviously, you're saying it's not 100 percent, but

1 compared to the product that's out there, it's much  
2 better than the product that's out there. So  
3 there's the issue about the risk for embolism, et  
4 cetera, for the subgroup that can be injected, done  
5 quickly.

6 The other is, I haven't seen the data or the  
7 rationale, other than it seems like it would be a  
8 good idea as a deterrent, to add the dye because  
9 that's also what's different about your product or  
10 the product being discussed today versus the  
11 product that it's being compared to.

12 Are there data that show that if somebody  
13 knows they're going to have dye on their nose, that  
14 that's enough to outweigh potential risks even  
15 though the risks may be low? We don't know. But  
16 if we're weighing benefit and risk, unintentional  
17 consequences, the added value of the blue dye  
18 hasn't yet, at least with data, suggests that it's  
19 a reasonable and important component to add beyond  
20 the viscosity. At least, I haven't heard that yet.  
21 Maybe it's there.

22 DR. ODIDI: I'd like to call on Dr. Rick

1 Dart to discuss this issue and perhaps follow up by  
2 Dr. Sellers based on their experience in the field  
3 regarding the blue dye, and if it will have an  
4 impact or not, absent of any experiment done so  
5 far.

6 DR. HERTZ: The question was whether there  
7 were data.

8 DR. ODIDI: We haven't done that work yet.  
9 We have protocol with the FDA for which we will do  
10 that going forward.

11 DR. DART: I would agree with Dr. Hertz.  
12 There's, I think, a solid rationale, but we don't  
13 have data that that actually averts abuse.

14 Is that the question?

15 DR. ODIDI: Yes. That is the question.

16 DR. BROWN: That is the question.

17 Dr. Joniak-Grant?

18 DR. JONIAK-GRANT: So first off, just to  
19 address Dr. Hertz's comment that there were two  
20 instances where it was syringeable, one was at  
21 4 hours, and that was with adding agitation A and  
22 pre-treatment A. So there were a couple studies

1 with tablet B that were syringeable.

2           Then the other comment or question related  
3 to really ask about these deterrence studies with  
4 the dye, the first thing I thought when I read this  
5 and saw blue dye was Breaking Bad and Walter White.  
6 And among drug-using populations that I've been  
7 around, there is a myth of purity when it comes to  
8 blue dyes, such that there was crystal meth that  
9 was going around that was being called "smurf  
10 dope," and it was basically dealers were injecting  
11 blue dye into it to make people believe that it was  
12 more pure because that was the whole basis of  
13 Breaking Bad.

14           So there is sort of a cultural thing among  
15 certain groups where they believe colors indicate  
16 purity, which could increase use. So I just think  
17 that was important to point out.

18           Has the sponsor looked at any of the  
19 research? You might not have studies that show  
20 that it's a deterrent, but have you looked for  
21 research that shows it may be an attractant?

22           DR. ODIDI: No. Dr. Sellers would like to

1 comment, I hope. Dr. Sellers, please? Dr. Ed  
2 Sellers?

3 DR. SELLERS: No, I don't believe the  
4 sponsor has looked at this, as they just indicated.  
5 But in answer to a previous question, obviously  
6 you're aware of how in some restricted circles and  
7 small groups of individuals, that fads and fashions  
8 and so forth get started.

9 So it is a possibility, but of course, you'd  
10 have to trade that off against a more common-sense  
11 kind of idea that getting blue on your clothes, or  
12 your face, and having it stick there for a long  
13 time, for many people, when they hear this and when  
14 I heard it, gee, that's pretty interesting. I sort  
15 of checked around with a few people, and they sort  
16 of said, "No, no. I wouldn't want to have anybody  
17 see that I was doing this."

18 I mean, this is anecdotal, of course, but I  
19 am sure that there could be some examples where it  
20 could take on some special status or meaning and  
21 might get characterized as bearing a specific drug  
22 or specific purity, as you suggest.

1 DR. BROWN: Dr. Sharon Hertz is going to now  
2 provide us with the charge to the committee.

3 **Charge to the Committee - Sharon Hertz**

4 DR. HERTZ: We're done with questions. So  
5 you've heard a lot today about this product and  
6 about our current standards for excipients for the  
7 assessment of abuse-deterrent products. And as we  
8 go through the questions, we'll be asking you  
9 to -- I'm just kind of thinking of how Sid answered  
10 them for us already, Sid Wolfe.

11 But we'll be asking you to tell us whether  
12 or not you think there are adequate data to support  
13 any abuse-deterrent characteristics for labeling,  
14 whether it's possible and acceptable to assess and  
15 agree on an amount of risk associated with  
16 excipients that are intended to have a purpose that  
17 may not have yet been shown and ultimately whether  
18 or not we should approve this product.

19 So I'm just going to turn it back over now  
20 for the formal presentation of the questions. But  
21 let me just say thank you. I'll thank you at the  
22 end, but I want to thank you now for your time,

1 taking out of your busy schedules.

2 The response to the questions is important,  
3 not just with regard to the yes/no vote, but we  
4 look at the discussion in great detail as we review  
5 the results of these advisory committees. I take  
6 pretty extensive notes because I will personally be  
7 referring back to this repeatedly over time.

8 So thank you very much for what will be, I'm  
9 sure, an interesting discussion.

10 **Questions to the Committee and Discussion**

11 DR. BROWN: We will now proceed with the  
12 questions to the committee and the panel  
13 discussions. I would like to remind public  
14 observers that while this meeting is open for  
15 public observation, public attendees may not  
16 participate except at the specific request of the  
17 panel.

18 So question 1 is a discussion question.  
19 We'll put that up. Question 1, the applicant  
20 submitted only category 1 in vitro studies to  
21 support labeling of oxycodone HCL ER tablets for  
22 abuse deterrence and is seeking labeling for abuse-



1       deterrent properties only for the IV route of  
2       abuse.

3               The product contains excipients that are  
4       intended to deter abuse by other routes. Discuss  
5       whether it is appropriate to consider labeling this  
6       product for abuse-deterrent properties for a single  
7       route without a complete assessment of all relevant  
8       routes of abuse.

9               Is this question for discussion clear to all  
10       the members of the panel?

11              DR. GALINKIN: Can I ask a clarifying  
12       question?

13              Sharon, in pre-meetings with the company,  
14       did the FDA give indications that just having one  
15       route, a category 1 approval, was all that was  
16       needed for approval, or did you want category 1, 2,  
17       and 3?

18              DR. HERTZ: No, we provide advice that's  
19       consistent with the guidance.

20              DR. BROWN: Dr. Gerhard?

21              DR. GERHARD: Toby Gerhard, Rutgers. So to  
22       me, this is a pretty straightforward answer. I

1 think, for good reason, the guidelines ask for both  
2 data that's not limited to category 1 studies and  
3 for data that looks at all routes of abuse  
4 simultaneously because we've seen in past meetings,  
5 certainly, that these aren't independent.

6           Sometimes, changes in one route of abuse can  
7 affect how the drug is used in other routes of  
8 abuse. And sometimes while oftentimes the  
9 category 1 studies line up with the results for  
10 category 2 and 3 studies, there certainly can be a  
11 difference. And I believe we have seen that in the  
12 past, so they don't necessarily extrapolate from  
13 in vitro into the human experience.

14           Therefore, I believe these data are, for  
15 good reason, required, and therefore, the product  
16 shouldn't be considered without the full breadth of  
17 the data being available.

18           That being said, obviously improved gelling  
19 features are, everything else being equal, in  
20 advance, and that should be acknowledged. But  
21 without evidence that shows that there aren't any  
22 unintended consequences in the other routes of

1 abuse and unexpected results in category 2 or 3  
2 studies, I think it's just premature at this point  
3 to move ahead with this product.

4 DR. BROWN: Dr. Schmid?

5 DR. SCHMID: I apologize if this is a naïve  
6 question, but I was under the impression that you  
7 label a drug when it is being sold or, in other  
8 words, to give instructions to the prescriber and  
9 to the patient. But I assume you're not approving  
10 this drug at this point because you haven't got all  
11 the studies in.

12 So what's the purpose of this label before  
13 things are completed, or am I not understanding  
14 something?

15 DR. HERTZ: We have not made a decision on  
16 approvability. That's why we're here, because we  
17 wanted to find out from the committee if the  
18 committee thought that there was sufficient support  
19 for approval or not. We'll be asking you that as a  
20 question.

21 So it's not safe to assume that we have  
22 concluded that we would not approve it. But you

1 are correct, the label, that would be part of an  
2 approval and the labeling. Yes.

3 DR. BROWN: Dr. Litman?

4 DR. LITMAN: Thank you. Ron Litman. So I  
5 think this would be a departure from all the other  
6 drugs that have been approved with ADF labeling for  
7 a couple reasons. Number one, I think that, up to  
8 this point, ADF labeling meant that it was  
9 deterrent in both routes. Well, I shouldn't say  
10 both, anything except oral. I think about it as  
11 nasal and IV, but I guess there's smoking and  
12 chewing, whatever else.

13 So this would be a departure, and I'm not  
14 sure whether that would impact prescribing, if  
15 physicians or other practitioners who prescribe  
16 opioids are used to thinking about an ADF labeling  
17 as deterrent in all the routes.

18 The second thought I had is when I think  
19 about whether or not it's appropriate to consider  
20 this without -- I'm reading this, obviously -- a  
21 complete assessment -- I'm not worried about  
22 category 3 data. Category 3 data, to me, is very

1 artificial, and those results really just don't  
2 come out until whatever postmarketing you can get.

3 But category 2 to me is pretty important.  
4 We don't know that there's bioequivalence for  
5 effect. And I could envision a situation where  
6 whatever, the blue dye or whatever it is, mixing  
7 with the oxycodone, when you take it, it's not  
8 going to give you the same amount of analgesic  
9 activity.

10 Now, you could argue that that's not really  
11 true because if you show that the PK is the same in  
12 the category 1 -- but like I say, it's hard for me  
13 to imagine approving this without the category 2  
14 data. Category 3, I'm not --

15 DR. BROWN: Dr. Arfken?

16 DR. ARFKEN: It's Arfken. I do substance  
17 abuse research, so I work with people, whether it's  
18 teenagers that are trying something new or people  
19 who are on the street that have used it for a long  
20 time, they're going to use it all different ways.  
21 And I cannot think of voting on approving something  
22 without research on the safety in all the different

1 ways it's used.

2 DR. BROWN: Dr. Zacharoff?

3 DR. ZACHAROFF: Kevin Zacharoff. The first  
4 thing I jotted down this morning when the meeting,  
5 the open session, began, was what patient type  
6 would be an appropriate candidate for an opioid  
7 analgesic that was intended to be an abuse-  
8 deterrent formulation for a potential IV abuser and  
9 not one who would nasally abuse the medication.

10 We have heard people mention today that  
11 there's confusion at the front line of what even  
12 abuse deterrence means. And very many people think  
13 it prevents addiction, it prevents aberrant drug-  
14 related behaviors, so on, and so forth.

15 I imagine it would be extremely difficult in  
16 a clinical setting for a prescriber to forecast the  
17 likelihood that a patient would abuse a medication  
18 intravenously and not nasally, or vice versa.

19 So in line with adhering to the FDA  
20 guidance, I'm not 100 percent sure of what the  
21 label could do to guide clinicians to decide who  
22 was an appropriate candidate for a medication that

1 has only been shown to potentially deter  
2 intravenous abuse.

3 I'd rather they be able to predict with a  
4 higher likelihood the likelihood of an aberrant  
5 drug-related behavior altogether, which has even  
6 been shown to be problematic.

7 So I actually don't think it's appropriate  
8 to consider labeling this product for abuse-  
9 deterrent properties for a single route of abuse  
10 because of the added confusion it would create.

11 DR. BROWN: Dr. Warholak?

12 DR. WARHOLAK: So I'm a safety person, so  
13 the first thing I think about is, is there the  
14 potential for the medication to do more harm than  
15 good. And I have to tell you, with what I've seen  
16 today and what I've read, I just don't know that we  
17 have the data yet.

18 It may be an incremental advance. I just  
19 don't think we're ready. We don't have the  
20 evidence. We don't know what's going to happen  
21 with the blue dye. We don't know what's going to  
22 happen with the additional viscosity and the

1 syringeability of the PEO. If we have additional  
2 viscosity, does that mean more TTP? We don't know.  
3 And then without the category 2 and 3 studies, we  
4 don't have information on that, either.

5 So I think that it's a start, but I don't  
6 think we have enough information, or I don't have  
7 enough information to say that we should approve.

8 DR. BROWN: Dr. Nelson?

9 DR. NELSON: I actually put my tag down and  
10 up again because I think a lot of what I was going  
11 to say has been said. But I do really believe in a  
12 nutshell that we -- I don't see any reason to go  
13 around the guidance that has already been very  
14 clearly stated that you need to have contributions  
15 from all three categories in order to allow  
16 something to take on the abuse-deterrent name.

17 If you think back to the original term,  
18 tamper resistant, before it became abuse deterrent,  
19 there was that second part, which required  
20 real-world epi studies to show that something had  
21 abuse-deterrent potential.

22 I know we seem to relegate in and out of the



1 postmarketing category 4 data, which makes me very  
2 uncomfortable because there's just so many  
3 unintended consequences that we've already talked  
4 about and I didn't really want to rehash, that in  
5 the long run, the small potential benefit of  
6 reducing IV use by a small amount when it really  
7 represents a relatively small contribution in the  
8 overall abuse and addiction problem -- we saw data  
9 that said about 15 percent or so patients present  
10 with IV use. That means the vast majority of  
11 people present with oral use, or intranasal use, or  
12 some other use potentially.

13 I think that without knowing what's going to  
14 happen to the oral use and, to some smaller extent,  
15 the intranasal use, it makes me very concerned by  
16 allowing simply one route of deterrence. And  
17 without complete assessment of all routes and all  
18 their potential unintended consequences, I find it  
19 to be a very concerning approach to allowing  
20 something to take on the name of being abuse  
21 deterrent.

22 Again, as has been stated, the

1 interpretation of that by the medical community and  
2 the public is very unclear. I think many people  
3 put a lot more weight in that term than we do  
4 sitting here at this committee. I think we have a  
5 full understanding of it, but I think many people,  
6 as we've seen in survey data, show or believe that  
7 it is actually much more encompassing than it is.

8 DR. BROWN: Dr. Zeltzer?

9 DR. ZELTZER: I also think you need step one  
10 and step two analyses, approval, and this is not  
11 ready for prime time with potential risk. Also, it  
12 seems like there's an assumption that, first of  
13 all, somebody who's been abusing opioids for a long  
14 time is going to use opioids, and they'll find  
15 another opioid.

16 So this often is aimed at the prescriber to  
17 some extent. And at least I know that adolescents  
18 who have athletic injuries are often given by the  
19 orthopedist a short-acting opioid. And depending  
20 upon what else is going on for a subgroup, this can  
21 lead to other uses of the opioid if they're  
22 distressed, et cetera.

1           Because there's an assumption that, for  
2           example, you add blue dye -- by definition that  
3           sounds, who wants blue nostrils, et cetera, who is  
4           going to use it -- but that's making broad  
5           assumptions for a large heterogeneous population.  
6           And in some populations, that may be a turn-on  
7           rather than a turn-off. And even if it's short  
8           term, it may become an increased use within a  
9           subgroup of potential users who are prescribed  
10          opioids by their physician, even though we're  
11          talking long-term opioid, long-acting.

12           So I just think the data aren't there yet to  
13          warrant approval for just phase 1/step 1 testing  
14          and approval.

15           DR. BROWN: Dr. Meisel?

16           DR. MEISEL: So a couple of points here.  
17          First of all, in terms of the perverse impact of  
18          making it more attractive, I'm just reminded of a  
19          large southeast Asian population that chews on  
20          betel nuts, and their teeth, and their tongue, and  
21          their mouth turns red permanently, and their teeth  
22          fall out. And that's a badge of honor for some of

1       them, just like this blue dye may end up being a  
2       badge of honor for some. That may be a perverse  
3       outcome.

4               To more focus on the specific question,  
5       somebody else mentioned the fact that it's hard to  
6       differentiate IV versus all the other kinds of  
7       routes of potential abuse, so should we approve it  
8       just for this and leave the other two aside?

9               We have already heard today in this room  
10       lots of words using speculation, "we think, it  
11       might, doesn't it make sense, it's logical." I can  
12       envision, if we were to approve this with the one  
13       indication with that stipulation, once we get out  
14       into the real world of sales reps and everything  
15       else, talking about these data, "Well, it's not  
16       approved for this, but you can imagine, you can  
17       think, you can see," we end up with a product that  
18       is going to be sold as if it were approved for all  
19       three, even though the disclaimer is there because  
20       it's very hard to differentiate that. And the lure  
21       of the blue dye, and the SLS, and all that other  
22       sort of stuff is really hard to overcome.

1           So I think if we're going to approve a  
2 product like this, it's got to be for all three.  
3 And to be for all three, it's got to have the  
4 level 2 studies.

5           DR. BROWN: Dr. Kline?

6           DR. KLINE: I put my placard down, but I  
7 think incremental increase or incremental  
8 improvement is not enough to set a precedence like  
9 this. And I want to echo the last comment that the  
10 implication would be bigger, I think, than the  
11 approval or lack of approval.

12          DR. BROWN: Are there any other comments  
13 from the panel before we move ahead?

14          (No response.)

15          DR. BROWN: Let me try to summarize a bit  
16 and say that these guidelines were begun to be  
17 worked on in 2012, and they're very well written.  
18 They had been commented on by hundreds of  
19 clinicians and scientists across the country.  
20 They're very clear.

21           the panel believes, and I believe, that the  
22 guidelines need to be followed. I think the

1 committee feels uncomfortable in providing a signal  
2 that it's all right to present incomplete data and  
3 expect a positive outcome. Perhaps there are  
4 iterative improvements in some of the functions of  
5 this drug, but we don't have enough information to  
6 determine whether this drug is safe or effective or  
7 safe and effective.

8 Is that what everybody heard?

9 (Affirmative nods.)

10 DR. BROWN: So let's move on to discussion  
11 question number 2. It's rather long, so I'm not  
12 going to read all of it. I'm going to ask that the  
13 committee reads through the first portion of it.

14 As presented earlier today, excipients in a  
15 drug product must have a purpose, and many oral  
16 formulations have excipients that pose health risks  
17 if injected. As discussed at previous advisory  
18 committee meetings, there have been concerns raised  
19 that the presence of excipients in abuse-deterrent  
20 formulations of products intended for oral use have  
21 resulted in additional toxicity to those who abuse  
22 these products by non-oral routes.

1           This product contains a nasal irritant, SLS,  
2 and a blue dye that according to the applicant are  
3 intended to deter abuse by the nasal and oral  
4 routes, however, no data have been provided to  
5 support these claims.

6           So question A would be, discuss any concerns  
7 you may have regarding this product and the  
8 presence of excipients that have been included to  
9 deter abuse. Question B, discuss whether it's  
10 acceptable to include excipients in this product  
11 that increases the potential risk to those who may  
12 abuse the drug via certain non-IV routes of abuse  
13 and that have not been shown or not intended to  
14 contribute to the proposed IV abuse-deterrent  
15 claim.

16           Question C, discuss whether it is possible  
17 to determine an acceptable level of risk for  
18 excipients that may be toxic by unintended routes  
19 of administration for this product.

20           Are these questions that the panel feels  
21 comfortable evaluating and answering? If so,  
22 Dr. Mendelson?

1 DR. MENDELSON: Yes. Hi. I think this is  
2 the most important question for the day.

3 Excipients, you generally think of as not  
4 very harmful and not really part of the drug  
5 process. They're not really there to either  
6 enhance or change the behavior of the drug in a  
7 person. However, in this case, these are active  
8 drug products. These are products designed to  
9 actually achieve a behavioral outcome that's  
10 specific and planned.

11 Therefore, I wouldn't qualify them as  
12 excipients in this case. I'd qualify them as drug  
13 products, and they should be tested in the way all  
14 drug products are for safety and efficacy. The  
15 dose needs to be addressed.

16 How much blue do you need to have a blue  
17 nose that deters people from doing something? I  
18 don't know the answer to that question, and I don't  
19 want to find out personally, but I think someone  
20 should.

21 How much of -- they got into this with the  
22 gelling; they've begun this with a scientific



1 process. But really, if you're going to make  
2 claims, you need to actually have a dose-response  
3 function for the active pharmacologic ingredients.  
4 And in this case, the blue dye and the nasal  
5 irritant are active pharmacologic ingredients and  
6 should be subjected to the same rules and thinking  
7 as other active pharmacologic ingredients.

8 DR. BROWN: Dr. Campopiano?

9 DR. CAMPOPIANO: Melinda Campopiano. To  
10 elaborate further on what was just said, I'm very  
11 concerned, apart from the guidance and where this  
12 presentation and the product falls and how it  
13 aligns with the guidance, that it contains this  
14 blue dye that's of no therapeutic benefit to the  
15 intended patients, we've seen no evidence of proven  
16 deterrent effect, and it doesn't support anything  
17 that's being asked to be put on the product label.  
18 There's also no real study of harm or the risk of  
19 harm to either people who ingested because they  
20 were prescribed it for pain or who misuse it.

21 I'm also really kind of repulsed at the  
22 willingness to just accept a punitive and shame-

1 based approach to deterring behavior that's related  
2 to a mental illness. Other people may be thinking  
3 that, but I think it needs to be said for the  
4 record that I think it's kind of mean and gross in  
5 a very non-scientific kind of way. And to put it  
6 out there with no assessment for potential for harm  
7 or effectiveness is really kind of offensive. It's  
8 as if people who use drugs don't have a mental  
9 illness. Did we forget that somewhere along the  
10 way?

11 I am uncomfortable with the idea that we  
12 would be putting a product out that is potentially  
13 possibly effectively -- I'm not totally  
14 convinced -- going to deter IV drug abuse, which we  
15 know tends to shift people off to either other  
16 drugs, or more importantly in the case of focusing  
17 on this product, other routes of abuse, and we have  
18 no knowledge about whether it really provides any  
19 deterrent for abuse by those other routes.

20 So we're just shifting from, frankly, more  
21 dangerous, but nevertheless still dangerous  
22 pathological behavior to misuse it intranasally or

1 by taking large doses orally.

2 Much has been made about the dramatic, and  
3 from a strictly lab geek kind of way, and really  
4 impressive increase in viscosity and the promise  
5 that this seems to suggest for deterring use of  
6 this drug by injection. But there's no study of  
7 the tolerability of this increased viscosity for  
8 the intended patient, which is the pain patient, or  
9 whether this increased viscosity might have any  
10 untoward effects on that person, who presumably is  
11 ill and suffering, or wouldn't be having this  
12 medication prescribed, and do we want to subject  
13 them to some unknown risk of GI distress?

14 The fact is that this substance, despite the  
15 increased viscosity, does remain syringeable in  
16 some situations, which means that in at least in  
17 some cases, people will successfully inject this  
18 drug, and we have no idea what it does in their  
19 bodies once it is introduced.

20 Nothing has been presented about that. The  
21 only answer that I've heard so far, unless I missed  
22 something, is that it's very unlikely that anybody

1 will do that. I'm not really comfortable with  
2 that.

3 We also know that there's real harm  
4 associated with some forms of the polyethylene  
5 oxide potentially with this or a very similar form  
6 of it that may have happened outside the United  
7 States. I think the only reason we discovered the  
8 polyethylene oxide problem related to another  
9 opioid formulation was because it happened in a  
10 limited geographic area in a cohort that was taking  
11 care of limited medical resources and happened to  
12 be identified. There are most likely trends  
13 happening in much more diverse geographically  
14 dispersed populations that we've yet to detect.

15 So I'm uncomfortable just using a product  
16 that we know harmed some people and putting it  
17 forward as an incremental advance in improving  
18 abuse deterrence, but it might harm some people  
19 kind of under the carpet.

20 Sorry I didn't organize it by A, B, and C,  
21 but that's kind of my response to question 2.

22 DR. BROWN: Ms. Robotti?

1 MS. ROBOTTI: Hi. Suzanne Robotti. On A,  
2 I'm not convinced that this product is safe when  
3 used as prescribed. I get the abuse issue, but the  
4 blue gel -- the issue is, this is a drug that is  
5 intended to be used around the clock every day for  
6 chronic pain, and this is a large amount of blue  
7 dye. It's a small amount of blue dye. I don't  
8 know. But we don't know what the effect is in  
9 various different bodies.

10 We need long-term studies to tell us what  
11 the effect will be. Will it turn people blue?  
12 Will the gel be a problem when it hits the  
13 gastrointestinal system? It's just that we don't  
14 know that it is even effective.

15 The blue dye, if it is abused and shows up  
16 on people's skin, we have no studies to prove that  
17 it can't be washed off with soap, or with vinegar,  
18 or with makeup remover, or whatever. So we don't  
19 know its long-term effect, we know very little  
20 about a short-term effect, and we don't even know  
21 if it is effective. So on that basis alone, I have  
22 a significant problem.

1           On C, is it possible to determine an  
2 acceptable level of risk for excipients that may be  
3 toxic by intended routes? Sure. If we were in a  
4 health crisis with a virus that's killing people,  
5 and we need to put out a drug to help keep people  
6 alive, and have no alternative, sure.

7           This is not that case. This drug is not a  
8 breakthrough drug, and I can't support this. I'm  
9 uncomfortable. Thanks.

10           DR. BROWN: Dr. Joniak-Grant?

11           DR. JONIAK-GRANT: Elizabeth Joniak-Grant.  
12 So I've already mentioned my concerns that some of  
13 the excipients might attract users, but I think  
14 it's important to echo Suzanne Robotti's comments  
15 because we have to ask about the safety for  
16 patients who are using it appropriately.

17           Patients requiring these drugs can be  
18 assumed to be in a more physically fragile state,  
19 perhaps on multiple medications with chronic  
20 conditions. With the different medications, I know  
21 a lot of us, me included, have to be very careful  
22 with our GI systems.

1           We're on all types of preventative drugs  
2 because a lot of the medications sort of rip up  
3 your system slowly over time. And having that  
4 increased intestinal permeability and being on  
5 multiple meds, I think it's even more critical that  
6 we really need to establish the safety of the  
7 ingredients that are being consumed. I think  
8 that's something that's really important to  
9 consider in all of this.

10           DR. BROWN: Dr. Higgins?

11           DR. HIGGINS: I concur with much of what has  
12 been said or I think all of what has been said. I  
13 think what really struck me the most was during the  
14 public hearing and just hearing from the physician  
15 that the blue dye can indeed cross the blood-brain  
16 barrier. And it does have elimination concerns  
17 that I think are real. And there's just so little  
18 safety data, that I'm just uncomfortable with the  
19 notion that it would be somehow marketed.

20           DR. BROWN: Dr. Galinkin?

21           DR. GALINKIN: Yes. I'd like to actually  
22 follow up on Dr. Mendelson's comment -- I think it

1 was Dr. Mendelson. But I think since there are  
2 multiple active products in this, in the 11- to 18-  
3 17-year-old age group, we really do need to think  
4 of this as a different compound in some ways than  
5 OxyContin ER because if it's a different compound,  
6 you really need to study it in this age group to  
7 make sure it's safe, especially since, as  
8 Dr. Zeltzer pointed out, these are prepubescent  
9 patients.

10 The second piece is, I would also like to  
11 see some chronic exposure studies since none of the  
12 studies that I saw went past 3 days, to see if it  
13 does affect nail beds and things like that, and  
14 affects pulse oximetry data because these patients  
15 sometimes do overdose and will need to be revived.  
16 And if they have problems with their pulse ox, this  
17 needs to be a warning in their label.

18 DR. BROWN: Dr. Schmid?

19 DR. SCHMID: I was very confused early on  
20 when we started talking about the blue dye, and  
21 somebody brought up the point that it had been  
22 banned in three countries. So I went and looked it



1 up. And then I think somebody said, well, that's  
2 not the same blue dye. It is the same blue dye  
3 that was banned in three countries. It is the  
4 Brilliant Blue dye, which I think at one point was  
5 said this wasn't Brilliant Blue dye.

6 Then I was trying to follow along with how  
7 much is actually safe, and there were a lot of  
8 numbers being thrown around, whether it was in  
9 Gatorade, or bubble gum, or whatever.

10 I think these studies have to be done, and I  
11 think there needs to be -- I mean, for a panel like  
12 this to make a decision, we have to have some  
13 numbers in front of us. I'm a statistician, so I  
14 like to see numbers.

15 But I really had trouble following what was  
16 safe and what wasn't, their milligrams per kilogram  
17 and all this. I was trying to do these  
18 calculations in my head. I'm pretty good with  
19 mental arithmetic, but I wasn't really able to do  
20 it. I agree with what everybody said, but I think  
21 when this is presented, there have to be numbers  
22 there that we can actually look at.

1 DR. BROWN: Are there any other comments or  
2 discussion points to be made before we move on?

3 (No response.)

4 DR. BROWN: If there are not, I think it's  
5 pretty clear that the panel believes that the issue  
6 of the excipients in this compound and in all of  
7 the drugs that we discussed are very important. In  
8 this drug formulation, they're perhaps more  
9 important and rise to the level of an active drug  
10 product.

11 As such, as was said by many people on the  
12 committee, they should be evaluated as independent  
13 drug products. We should have all the toxicity  
14 data, long term and short term, that we would  
15 expect from an individual drug were it not being  
16 used as a dye.

17 The excipients themselves have not been  
18 studied vis a vis toxicity. And just as  
19 importantly, some of the issues relating to the  
20 appropriate use of this drug have not been well  
21 presented. And that gets to the issue of the  
22 viscosity of the agent and its possibility for

1 effect on the GI tract.

2 So I think that there was general agreement  
3 that this sort of testing on what are the causes of  
4 the viscosity, what are the results of the  
5 increased viscosity when drugs are used as directed  
6 should be done before this drug will be ready to go  
7 to market.

8 I would also like to just mention one thing  
9 so that our friends from the FDA note it. And that  
10 is the issue of some of these excipients actually  
11 attracting abusers. We don't need to attract  
12 abusers. The fact that several of the experts on  
13 the panel have mentioned this means that either the  
14 FDA or the sponsor should provide us with some  
15 information about the effects of drug color on the  
16 use of this compound.

17 Does that pretty much get it? Everybody  
18 happy with my mumblings?

19 (Affirmative nods.)

20 DR. BROWN: Let's go to discussion point 3.  
21 Although the applicant is not currently seeking a  
22 nasal or oral abuse-deterrent claim, discuss the

1 type of data that would be necessary to support a  
2 claim that blue dye has deterrent effects for the  
3 intravenous, nasal, or oral routes of abuse for  
4 this product. Discuss if it is acceptable to  
5 predict intranasal or oral abuse-deterrent effects  
6 from category 1 studies alone for this product.

7 Does this question comport? Does everybody  
8 feel good about being able to answer this question?  
9 Is it clear to everyone?

10 DR. MEISEL: Is it's acceptable to expand  
11 the question to include the sodium lauryl sulfate?  
12 We talked a lot here about the blue dye, but that's  
13 only one of the additional ingredients here that  
14 are intended to --

15 DR. BROWN: I think that would be  
16 acceptable, but we're discussing the entire  
17 process, the entire formulation.

18 DR. HERTZ: Yes-ish. The intent of this  
19 question was because the blue dye is novel and  
20 because we don't yet have assessments of whether it  
21 would have deterrent effects for nasal, oral, or  
22 parenteral of any type, we're trying to get a

1 little bit more on if anybody has thoughts on how  
2 that could be assessed. But additional thoughts on  
3 the sodium lauryl sulfate or other aspects, sure.  
4 We can always add that, but we do want to  
5 specifically try to get some advice on the dye  
6 concept.

7 DR. BROWN: Dr. Joniak-Grant?

8 DR. JONIAK-GRANT: I think one of the best  
9 ways to try and see if it has deterrent effects is  
10 to maybe do some interview studies, talk to the  
11 people who use the drugs, talk to the people who  
12 abuse drugs.

13 I know being around drug-using populations,  
14 I'm an ethnographer, so I'm actually with them in  
15 the field. I mean, the things on the hands, you  
16 wear gloves. The snorting up the nose, you use a  
17 straw and you do little amounts at a time, so just  
18 the inside of your nose is there. Crushing, you  
19 don't chew it. You crush it outside your mouth.

20 So there are ways to get around it. And  
21 then if you do have little bits of blue, it could  
22 be you had a Slurpee because it's only on your

1 tongue, things like that.

2 So I think really talking to the people who  
3 use these things would be the ideal way to find out  
4 if it is a deterrent rather than us sitting around  
5 going, "I think it would be for me." Right? So  
6 that's what I would highly recommend.

7 DR. BROWN: How can the industry test for  
8 toxicity in effects? I'd like to hear from some of  
9 our friends who are chemists and medicinal  
10 chemists. How would that work?

11 Dr. Mendelson, do you have ideas about that?

12 DR. MENDELSON: I was going to comment on  
13 the testing, and I completely agree you need  
14 experts, people who actually abuse drugs. And you  
15 have to give them first some surveys, then you have  
16 to have them actually test the product and see if  
17 they can defeat it. And you need an effective dose  
18 of blue dye.

19 But obviously, the pathway for pre-clinical  
20 assessment, it doesn't appear this dye has been  
21 injected into animals in a dose-response function  
22 to see what the outcomes are and looking

1 specifically for organ toxicity that would be  
2 amplified in people who have had a history of  
3 either intravenous drug abuse or have a history of  
4 diseases associated with addiction would be a  
5 logical place to start.

6 This is not complicated animal research. We  
7 don't use rats in our studies anymore. We use  
8 lawyers because there are more of them, but it's  
9 something you could do easily.

10 DR. BROWN: Dr. Gerhard?

11 DR. GERHARD: Tobias Gerhard. So I think  
12 that the initial question, whether category 1  
13 studies can assess the effects, I think is clearly  
14 answered with no. It's a novel approach. We  
15 really don't know.

16 Importantly, however, beyond the question of  
17 how the effectiveness and safety can be determined,  
18 I just want to reemphasize Dr. Campopiano's point  
19 that beyond the potential difficulty in  
20 establishing this, I think there is a point beyond  
21 this where we should think about whether this  
22 shame-based approach is actually something that

1 should be considered.

2 I think the argument that was made that this  
3 would bring the abuse to light and maybe the  
4 individual would receive help sounds like a  
5 plausible story line where that could be helpful,  
6 but I think one can easily imagine situations where  
7 that's not the path that that would take, where  
8 shaming an individual with a clear mental health  
9 issue could have very problematic consequences.

10 You could think of situations where that  
11 could lead to self-harm or even suicide. And I  
12 think it's a bigger question than just the pure  
13 immediate point of how safe is it and how effective  
14 is this, and how can we quantify it. There's  
15 really a bigger issue here that should be, I think,  
16 carefully considered before that approach is taken.

17 DR. BROWN: I'm going to try to clarify a  
18 little bit this because I know that the agency  
19 wants to get a handle on what kind of data would  
20 support the evaluation of this particular drug  
21 product.

22 Would it be possible in the circumstances of



1 looking at this drug product, that is, the blue  
2 dye, for us to ever get the data that are needed?  
3 Dr. Galinkin?

4 DR. GALINKIN: I think you do need to do  
5 standard drug-liking tests and things like that.  
6 That would be very helpful in determining -- even  
7 with the blue dye, you can crush and have people do  
8 that, those studies, and see if the drug liking is  
9 higher or lower. Those would be one thing.

10 DR. BROWN: Dr. Nelson?

11 DR. NELSON: Thank you. It's Lewis Nelson.  
12 Along those lines, years ago, we evaluated an  
13 opioid product that contained niacin. Many will  
14 remember that. And one of the things we were  
15 impressed with at the committee level was that when  
16 they did the trials, I think it sort of pre-dated  
17 the categories that we talk about now. But there  
18 was data that said that several of the participants  
19 in those clinical trials actually not only weren't  
20 deterred by the niacin effect, but actually enjoyed  
21 the effect they got from that supposedly deterrent  
22 irritant substance.

1           So it would be great to look at what people  
2 feel in focus group efforts and see if they were  
3 deterred by something. But even if they just came  
4 forth with plain old category 2 or 3 data, where  
5 they actually use human beings, and assessed,  
6 whether it's liking or even how they felt about the  
7 effects of this supposedly deterrent additive, they  
8 might actually not only not be deterred, they might  
9 actually like it.

10           So I think that independent of the opioid  
11 per se assessment in standardized human studies,  
12 the effects of these deterrents would be probably  
13 reasonable to look at. Again, just if they came  
14 forth with standard testing, we might have been  
15 able to look at this issue.

16           DR. BROWN: Dr. Campopiano?

17           DR. CAMPOPIANO: Melinda Campopiano. I  
18 agree with everything that's been said. I think  
19 that maybe talking, having a focus group, or  
20 surveying, and other formal sorts of ways, asking  
21 and evaluating, talking with people in recovery,  
22 people who are actively using, maybe families,

1 treatment providers, psychiatrists, about how this  
2 type of scarlet letter approach to deterring  
3 substance use, whether it could be harmful, how it  
4 might be harmful, if there's any way to mitigate  
5 the harm, and so on.

6 I think it's a double-edged sword. I think  
7 the road to hell is paved with good intentions. I  
8 can see Smurf parties and blue lollipops suddenly  
9 becoming very popular. So it's always a balancing  
10 act.

11 I guess the only other thing about the blue  
12 dye, especially since pills are often shiny and  
13 pretty colors on the outside -- and I don't  
14 necessarily know exactly how you would assess this,  
15 but whether the might be more attractive for small  
16 children to pick up if they find on the floor.

17 So I'm concerned about the accidental  
18 pediatric exposure being somehow made more likely  
19 because it's a colorful attractive product,  
20 especially if somebody's been cutting it, or  
21 mashing it, or something, and revealed the inside  
22 color so that a child could come by and put it in

1 their mouth.

2 So that would be something else. I guess I  
3 would suggest that we try to see if there's a way  
4 to quantify.

5 DR. BROWN: Dr. Arfken?

6 DR. ARFKEN: I think we also have to think  
7 beyond is it something you like, to think of it as  
8 something like GHB, where you would do it to  
9 someone else as a way of revenge. So if there's  
10 something that someone did that they didn't like,  
11 they could give this to them, and then see the blue  
12 on them.

13 DR. BROWN: Dr. Schmid?

14 DR. SCHMID: I think the interviews are  
15 really important, but I think we should also keep  
16 in mind that while we're thinking about this as  
17 being a way to have helpful family members or  
18 friends recognize there's a problem.

19 There's also the sense that there's a lot of  
20 families where somebody would be totally  
21 embarrassed and upset that their child, let's say,  
22 was doing this, and they might take it out on them,

1 so that it might actually have the opposite effect,  
2 which is that if your kid comes home and he's all  
3 blue, it's like, "I can't believe you're doing  
4 drugs; I told you that," and it might make the  
5 problem a lot worse.

6 So I think that needs to be considered. So  
7 I think it's really important when you're doing  
8 these interviews to go into a lot of different  
9 types of environments to assess all those  
10 possibilities.

11 DR. BROWN: Dr. Nelson? Dr. Gerhard?

12 DR. GERHARD: Sorry, just didn't put it  
13 down.

14 DR. BROWN: Dr. Shoben?

15 DR. SHO BEN: Just one other quick comment  
16 about if you really want to go down this route of  
17 evaluating the blue dye, I would like to see the  
18 standard category 1 studies related to it, so how  
19 easily can you actually get it off your hands, not  
20 just the anecdotal soap and water takes 30 minutes.

21 Is it possible to get it out of your mouth  
22 pretty quickly? Is it possible to get out of your

1 nose? Is it possible to erase the mark on your  
2 skin? That sort of thing, to have actual data to  
3 support those claims, not just the pretty pictures.

4 DR. BROWN: Dr. Zeltzer?

5 DR. ZELTZER: Yes, just one last quick  
6 comment. I think when we're thinking about  
7 next-step testing -- and this has already been  
8 brought up -- to remember that the end potential  
9 individual who might be put at risk is not one kind  
10 of individual in one mold.

11 We talked about the person who may be  
12 abusing medication, and abusing opioids, and  
13 obviously is doing that out of desperation, because  
14 they're not getting whatever, they're struggling.

15 But also -- and again, I'm just going to  
16 speak from my domain of experience in terms of  
17 adolescents. You have adolescents who are living  
18 on the street, adolescents who are in abused homes.  
19 There are a lot of reasons that adolescents may be  
20 using opioids to get some kind of relief from their  
21 stress and support, and there are also adolescents  
22 who are in school.

1           If you survey even higher socioeconomic  
2 school districts and you look at middle school  
3 students and high school students, and you ask if  
4 they're getting drugs from peers on the street,  
5 there's a fairly high and increasing percentage of  
6 adolescents who are passing drugs on as a social  
7 phenomenon.

8           So I guess what I'm saying is when you're  
9 looking at the user end, think of some different  
10 subpopulations where the reasons may be different  
11 in the different populations. So what might be a  
12 deterrent in one population, for example, you may  
13 not have an indication for adolescents, but you may  
14 after good testing have an indication for an older  
15 population.

16           So I'm saying that the end user isn't the  
17 same group.

18           DR. BROWN: Dr. Warholak?

19           DR. WARHOLAK: So I agree that category 2  
20 and 3 studies would be crucial here, and I agree  
21 with Dr. Shoben that we need to have better data on  
22 not only what takes off the blue dye, but also

1 other perhaps solvents and things that people would  
2 use.

3 But I also agree that we do need to be  
4 really careful if we're going to do focus groups  
5 and other kinds of studies or interviews about  
6 stratification and making sure that the sample  
7 selection is truly representative of the scope of  
8 the patients not only who use it. You might even  
9 ask the patients who use it for an illegitimate  
10 indication just because those are the patients who  
11 maybe at some point someday might slip into an  
12 abuse pattern. That might be good to know.

13 But I was thinking, too, that if this was my  
14 grad students, I would say, "It's time to go back  
15 to concept elicitation." How do we know that blue  
16 dye would be a deterrent at all? And as some  
17 people have pointed out, have we asked abusers what  
18 would be a deterrent. And especially considering  
19 all the different strata and the different types of  
20 abusers, what would be a deterrent, or what would  
21 make them go and get help, for the bigger question.

22 DR. BROWN: Dr. Meisel?



1 DR. MEISEL: So along those lines, back  
2 40 years ago, I used to work with the Indian Health  
3 Service in northeast Arizona. And we had to  
4 dispense aspirin tablets that were green because  
5 the white tablets didn't work. Only the green  
6 tablets were perceived to work by the population  
7 that we were serving.

8 That gets into a little bit of what Terri  
9 was saying, but also a little bit about the fact  
10 that if we know it's blue, we know it's pure. And  
11 would we have a perverse impact of increasing abuse  
12 of this particular product because we can now prove  
13 to the buyer that this is pure oxycodone, whereas  
14 everything else will be clear, and colorless, and  
15 you don't know quite what you're getting. And  
16 could this actually have a perverse impact of  
17 increasing sales and abuse because now it's known  
18 to be a pure product.

19 I think we have to have some data and some  
20 research to, A, get into the issue about what does  
21 the color really do, but also, does the presence of  
22 the color actually reduce its use or have a

1       perverse impact of increasing the perception of  
2       purity, and therefore increasing its use.

3               DR. BROWN: Are there any more discussion  
4       points to be made about this particular question  
5       before we move on?

6               (No response.)

7               DR. BROWN: If not, I'm going to summarize  
8       by saying that the sense of the group is that it's  
9       not acceptable to predict intranasal or oral abuse-  
10       deterrent effects from category 1 studies alone for  
11       this product and that the best way to evaluate for  
12       the deterrent effects is to actually use the  
13       guidances provided by the FDA, which is crystal  
14       clear on this.

15               Dose-response curves were also mentioned.  
16       Drug-liking studies, focus groups, surveying were  
17       also mentioned. But the strongest goal should be  
18       to provide the studies that the FDA has recommended  
19       in the guidance.

20               One of the issues that was brought up by one  
21       of our panelists, or many of our panelists, is the  
22       issue of shaming to reduce abuse in patient with

1 known mental illness. And that's wrong on so many  
2 levels. I just can't -- that's wrong on a lot of  
3 different levels.

4 Does that pretty much get to what was said?  
5 Anybody else want to add?

6 (No response.)

7 DR. BROWN: I am going to give the panel the  
8 choice of taking a short break now or moving with  
9 these two voting questions and finishing up.

10 (Affirmative replies to continue.)

11 So the first voting question is, has the  
12 applicant demonstrated that oxycodone extended-  
13 release tablets have properties that can be  
14 expected to deter abuse by the IV route of  
15 administration?

16 Anyone? The floor is open for discussion.

17 MS. ROBOTTI: I don't like the phrasing on  
18 this question. It is what it is. But it's as if  
19 it's demonstrated, not proven. And it can be  
20 expected to deter abuse; sure, probably. It's  
21 following the same pattern as OxyContin, and  
22 OxyContin seems to be deterring. The numbers show

1       that.

2               So I'm just saying I don't like the phrasing  
3       on the question.

4               DR. BROWN:  Dr. Schmid?

5               DR. SHOBEN:  I guess my question is very  
6       similar.  The way I read this is, can it be  
7       expected to deter abuse.  But then there's a lot of  
8       other things that we've brought up, so there's pros  
9       and cons.

10              So I could say, yes, it's going to deter  
11       abuse, but it's going to do a lot of other bad  
12       things.  So I don't know how to answer that  
13       question.  I'd like some clarification from the FDA  
14       on that.

15              DR. HERTZ:  This is Sharon Hertz.  So the  
16       language was chosen because it reflects how we  
17       phrase the language in the labeling.  So will we  
18       consider these studies that are done pre-marketing  
19       not as proof of a deterrent effect, but as data  
20       that suggests there can be an expectation for some  
21       deterrence?

22              So that's where the "can be expected to

1       deter" language comes from. And then we hope to  
2       get postmarketing data to determine if those  
3       projections came true.

4                Another way of saying it or to try and  
5       clarify what we're trying to get at with the  
6       question, has the evaluation for intravenous  
7       deterrent effects been sufficient to consider just  
8       in isolation whether that part of the evaluation is  
9       adequate.

10               DR. BROWN: Dr. Meisel?

11               DR. MEISEL: Oh, sorry.

12               DR. BROWN: Dr. Kline?

13               DR. KLINE: In my very linear chemist mind,  
14       I think this is the same question we just answered  
15       a bit ago, not without category 2 and category 3.  
16       I don't think they've proven deterrence with just  
17       cat 1 for the IV abuse.

18               DR. BROWN: Dr. Joniak-Grant?

19               DR. JONIAK-GRANT: I would say that in the  
20       briefing argument that we got from the FDA, it  
21       said, for each relevant route of administration,  
22       basically looking at how work should be based on,

1        what causes the highest release of the opioid  
2                What caused the highest release of the  
3        opioid was actually solvent 3.  You got about  
4        100 percent dissolution at 15 minutes.  And it was  
5        said that it was the most effective solvent to  
6        extract oxycodone from extended-release tablets,  
7        but there was no data given about the mean  
8        percentage recovery of the oxycodone in that  
9        100 percent dissolution, and I didn't see anything  
10       in terms of syringeability.  Syringeability just  
11       stayed with solvent 1 and solvent 2, and not this  
12       solvent 3, which gives me pause.

13                DR. BROWN:  Dr. Zacharoff?

14                DR. ZACHAROFF:  Hi, Kevin Zacharoff.  
15        Looking at this question in a vacuum, and in light  
16        of all the discussion we've had with respect to the  
17        lack of category 2 and category 3, and just  
18        thinking about the syringeability, I would say yes,  
19        in my opinion, the likelihood is it can potentially  
20        deter abuse by an intravenous route.  But I don't  
21        think you could really look at this in a vacuum at  
22        the end of the day, but just looking at that one

1 factor, I would say yes.

2 DR. MENDELSON: I just would like to respond  
3 to Dr. Zacharoff if possible.

4 DR. BROWN: Sorry?

5 DR. MENDELSON: I was going to respond to  
6 Dr. Zacharoff and just say you can get 20 percent  
7 of the material syringed, so that's not so good.

8 DR. BROWN: It sounded like you said 20, but  
9 what I heard Dr. Hertz say was up to 40.

10 DR. MENDELSON: On slide 49 of the  
11 presentation, it shows 20 percent of the IPC  
12 OxyContin, syringeable, released at 30 seconds, and  
13 therefore obtainable by syringe.

14 DR. ZACHAROFF: My comment was with respect  
15 to guarantee. I think it would be potentially a  
16 deterrent, but again, I would never look at it in a  
17 vacuum.

18 DR. BROWN: Dr. Kline?

19 Any other comments before we move to a vote?  
20 One more comment.

21 DR. GALINKIN: Sorry. Jeff Galinkin. Maybe  
22 Sharon, this is a question for you. Compared to

1 what? Compared to the OxyContin ER currently or  
2 compared to just a plain OxyContin tablet?

3 DR. HERTZ: So how about if I try it this  
4 way? If everything else were in order, would there  
5 be adequate data to support abuse-deterrent  
6 labeling? And in terms of what it's relative to,  
7 it's relative to the data that you have.

8 If you were to conclude that everything else  
9 were in order, and this was one of the series of  
10 questions that we ask when we have the different  
11 routes, so the next one could have been nasal, the  
12 next one could have been oral, if we were going to  
13 do all of that, are the data sufficient to support  
14 that type of labeling?

15 DR. BROWN: During our discussions, if we  
16 could refrain from saying how we were going to  
17 vote, it would please many people.

18 We will now proceed. We will be using an  
19 electronic voting system for this meeting. And  
20 once we have begun to vote, the buttons will begin  
21 flashing and will continue to flash even after you  
22 have entered your vote.



1           Please press the button firmly that  
2           corresponds to your vote. If you are unsure of  
3           your vote or you wish to change your vote, you may  
4           press the corresponding button until the vote is  
5           closed. After everyone has completed their vote,  
6           the vote will be locked in. The vote will then be  
7           displayed on the screen, and the designated  
8           financial officer will read the vote from the  
9           screen into the record.

10           Next, we will go around the room, and each  
11           individual who voted will state their name and  
12           their vote into the record. You can also state the  
13           reason why you voted as you did if you want to. We  
14           will continue in this same manner until all  
15           questions have been answered or discussed.

16           (Voting.)

17           DR. BROWN: So everyone has voted. The vote  
18           is now complete.

19           DR. CHOI: For the record, we have 4 yes,  
20           19 no, and zero abstentions.

21           DR. BROWN: So we will start down with  
22           Dr. Mendelson, and go around the room, and explain

1 our vote.

2 DR. MENDELSON: I'm usually the contrarian  
3 in this committee. But I think my reasons were  
4 well outlined previously. They haven't  
5 demonstrated safety. They haven't demonstrated  
6 efficacy.

7 DR. NELSON: Lewis Nelson. I voted no. And  
8 I believe that, without the additional category 2  
9 and 3 studies, they will not be able to demonstrate  
10 that this formulation has the ability to deter  
11 abuse.

12 DR. KLINE: Rick Kline. I concur. I think  
13 without category 2 and 3, they're not going to be  
14 able to make that label.

15 DR. CAMPOPIANO: Melinda Campopiano. I  
16 voted no. I agree that the absence of the  
17 category 2 and 3 data is a problem, but I'm also  
18 concerned about the one solution that is able to  
19 extract the drug fairly effectively not being  
20 tested, not being subjected to additional testing  
21 around syringeability.

22 MS. ROBOTTI: My name is Suzanne Robotti. I

1 voted yes. And I voted because I was asked to look  
2 at the question exactly as it stood, not in the  
3 full context of the drug.

4 DR. JONIAK-GRANT: Elizabeth Joniak-Grant.  
5 I voted no. I believe we need category 2 and 3  
6 studies. I'm also, as I expressed earlier,  
7 concerned that syringeability was only looked at  
8 for those two solvents and not the ones that did  
9 better extraction.

10 DR. HIGGINS: Jennifer Higgins. I voted no.

11 DR. CRAIG: David Craig. I voted no  
12 primarily because of lack of human studies. I  
13 think it's great to have studies, which we saw, but  
14 I think that there's just no evidence in human  
15 subjects or folks actually with addiction disorders  
16 using it in real-life situations.

17 DR. McCANN: Mary Ellen McCann. I voted no.  
18 I agree with everybody else that we really need the  
19 category 2 and 3 studies.

20 DR. GALINKIN: Jeff Galinkin. I voted yes.  
21 It is definitely difficult to syringe, so when you  
22 look specifically at this question, I think the

1 answer is yes. I do think they need to do  
2 category 2 and 3 studies before it gets approved,  
3 however.

4 DR. ZELTZER: Lonnie Zeltzer. I voted no  
5 because I do think that category 2 and 3 studies  
6 are needed, and we also don't quite know the risk  
7 yet. And there might be the law of unintended  
8 consequences for subpopulations who might increase  
9 their use.

10 DR. ZACHAROFF: Kevin Zacharoff. I  
11 ultimately voted no for two reasons. One is  
12 because a lot can happen in 30 seconds if somebody  
13 wants to inject it before it becomes unsyringeable;  
14 and, two, because they haven't demonstrated  
15 compared to what other medications have needed to  
16 demonstrate in the abuse-deterrent formulation  
17 class.

18 DR. LITMAN: Ron Litman. I voted no for all  
19 the above reasons that we talked about, even  
20 Jeff's. And I'm also concerned about setting  
21 precedent. I'm also concerned about the blue dye.  
22 We discussed it.

1           I'm actually not that worried about it being  
2 toxic, but it is a drug. And if you consider the  
3 FDA's definition of a drug, that it changes the  
4 body in some way, it changes, I don't know, the way  
5 you think about the drug. And that's a chemical  
6 reaction. I think back to Kessler's question of  
7 intent with smoking, and to me, that qualifies.

8           DR. BROWN: This is Rae Brown. I voted no,  
9 and I voted no because I think that the  
10 demonstration of the effect has to come within the  
11 realm of safety and overall efficacy of this drug.  
12 There are so many things that we don't know from  
13 the data that were presented to us that, in  
14 reality, I don't think that we can answer the  
15 question at this time.

16           DR. HERTZ: Steve Meisel. I voted yes  
17 because the way the question is phrased, does it  
18 have properties that can be expected to deter  
19 abuse, and it does. It's highly viscous. It forms  
20 this gunk. That should be expected to deter, not  
21 necessarily prevent, but deter abuse.

22           At the same time, I think it's a terrible

1 question. The question is phrased quite poorly,  
2 and I think the consensus of this group is that  
3 this drug isn't ready for prime time and shouldn't  
4 be approved, but that wasn't the question.

5 DR. WARHOLAK: Terri Warholak, and I voted  
6 no for the reasons that most everybody's mentioned.  
7 I feel like the data are just not there yet. We  
8 don't have a preponderance of evidence yet. We  
9 have some pieces, but much is missing, especially  
10 category 2 and 3.

11 DR. HABEL: I'm Laurie Habel. I voted no.  
12 I actually agree that it probably does deter IV  
13 use, but I voted no because I think we need  
14 categories 2 and 3. And I'm particularly concerned  
15 about the safety issues.

16 DR. GERHARD: Toby Gerhard. Like Dr. Brown,  
17 I voted no. And I believe the question can't  
18 really be answered in isolation from the safety and  
19 overall approvability of the drug.

20 DR. SETOGUCHI: Soko Setoguchi Iwata. I  
21 voted no. I agree with Dr. Zacharoff,  
22 [indiscernible], again, seems to be long. And I

1 want to see some data that it's actually too short  
2 to do anything with it. And also, I think we need  
3 human data.

4 DR. NOVAK: I'm Scott Novak, and I voted no,  
5 although I really agree with some of the folks that  
6 have said yes in terms of intuition. It's gunky,  
7 it's blue, it seems like it would be very hard to  
8 abuse via intravenously.

9 However, I think abusers are a very special  
10 and unique population. They're very creative. And  
11 I think without the human population studies, as  
12 much as we think that something might deter, I  
13 think you really need to get it into the patient  
14 population and the abuser population to really  
15 definitively and empirically answer that question.

16 DR. ARFKEN: I'm Cynthia Arfken. I voted no  
17 because we need more data from humans and we need  
18 safety data.

19 DR. SHOBNEN: Abby Shoben. I voted yes,  
20 again, sort of strict interpretation of the  
21 question. I think it is an incremental improvement  
22 over the current OxyContin in terms of its ability

1 to be syringeable and prepared for IV abuse, and  
2 that's the strict interpretation of the question as  
3 I read it.

4 DR. SCHMID: Chris Schmid, and I voted no.  
5 Again, I was trying to wordsmith as well. I could  
6 have voted yes if it had read "could have  
7 properties," but when it says expected, it's a  
8 little bit higher level for me. So expected to me  
9 means that I'm pretty sure about this, and I'm  
10 really not given the lack of information on some  
11 things.

12 DR. BROWN: We will be adding a sixth  
13 question for those of you who thought that you were  
14 going to be able to get out of here after five  
15 questions, so don't run away after the next one.

16 Question number 5, if we can put that up,  
17 are there sufficient data for this product to  
18 support inclusion of language regarding abuse-  
19 deterrent properties in the product label for the  
20 IV route of administration?

21 Is that a clear question to everyone? Is  
22 there any discussion of this?



1 MS. ROBOTTI: Sue Robotti. Can we know what  
2 question 6 is? I just want to know how long to  
3 opine after this.

4 DR. BROWN: No. No.

5 DR. CAMPOPIANO: I want to vote a particular  
6 way, so I want you to give me the right question.

7 DR. BROWN: Don't look at me.

8 DR. HERTZ: Question 6 fell off our version.  
9 Control was a little soft on this, but it's just  
10 about whether we should approve the drug.

11 DR. BROWN: Is there any discussion, anymore  
12 discussion?

13 (No response.)

14 DR. BROWN: In that case, we will again be  
15 using the electronic voting system for this  
16 meeting. Once we begin the vote, the buttons will  
17 start flashing and will continue to flash even  
18 after you have entered your vote. Please press the  
19 button firmly that corresponds to your vote.

20 If you are unsure of your vote or you wish  
21 to change your vote, you may press the  
22 corresponding button until the vote is closed.

1 After everyone has completed their vote, the vote  
2 will be locked in, will be displayed, and the DFO  
3 will read the vote. And then we will go around the  
4 room again just as we did with the last one.

5 So if we could vote at this point on  
6 question number 5.

7 (Voting.)

8 DR. BROWN: So everyone has voted. Can we  
9 have the results?

10 DR. CHOI: For the record, we have zero yes,  
11 23 no, zero abstentions.

12 DR. BROWN: So can we start with Dr. Schmid  
13 and go around the room?

14 DR. SCHMID: Chris Schmid. I voted no for  
15 the reasons I've stated.

16 DR. SHOBEN: Abby Shoben. I voted no here.  
17 My interpretation here would be about supporting  
18 the label claim, and I would want to see more  
19 safety data about some of the things that were  
20 included before you could have a label claim for  
21 abuse deterrence and then go forward, marketing it  
22 that way.

1 DR. ARFKEN: I'm Cynthia Arfken. I voted no  
2 because there's not sufficient data.

3 DR. NOVAK: Scott Novak. I agree. I voted  
4 no. I just don't think the data are there to  
5 support it.

6 DR. SETOGUCHI: Soko Setoguchi. I voted no.  
7 I think I passed the consistency test.

8 DR. GERHARD: Toby Gerhard. I voted no,  
9 nothing to add to my previous comments.

10 DR. HABEL: Laurel Habel. I voted no for  
11 the same reasons as the last question.

12 DR. WARHOLAK: Terri Warholak. I voted no  
13 for the reasons stated previously.

14 DR. MEISEL: Steve Meisel. I voted no. I  
15 think all has been said.

16 DR. BROWN: Rae Brown. I voted no for the  
17 same reasons as the last question.

18 DR. LITMAN: Ron Litman. I voted no, same.

19 DR. ZACHAROFF: Kevin Zacharoff. I voted no  
20 for reasons already stated.

21 DR. ZELTZER: Lonnie Zeltzer. I voted no  
22 for reasons that have continued to be stated.

1 DR. GALINKIN: Jeff Galinkin. I voted no  
2 this time because you still need to have the  
3 category 2 and 3 studies before you can make any  
4 determinations.

5 DR. McCANN: Mary Ellen McCann. I voted no,  
6 same reason as the question before.

7 DR. CRAIG: David Craig. I also voted no  
8 for the same reasons as the previous question.

9 DR. HIGGINS: Jennifer Higgins. I voted no.

10 DR. JONIAK-GRANT: Elizabeth Joniak-Grant.  
11 I voted no for the previously stated reasons.

12 MS. ROBOTTI: I'm Suzanne Robotti. I voted  
13 no for similar reasons as to why I voted yes  
14 before. But to put something on the label, you  
15 need human studies. You need complete information.  
16 We don't have that.

17 DR. CAMPOPIANO: Melinda Campopiano. I  
18 voted no for reasons already stated.

19 DR. KLINE: Rick Kline. I voted no, ditto.

20 DR. NELSON: Lewis Nelson. I voted no, no  
21 additional comments.

22 DR. MENDELSON: John Mendelson. I voted no.

1 But if you keep asking the question, I can come up  
2 with some more reasons, but I've got enough  
3 already.

4 DR. BROWN: Thank you very much.

5 Question number 6, should this drug product,  
6 oxycodone HCL ER tablets be approved? Is that  
7 question clear to everyone on the panel?

8 DR. HIGGINS: May I just ask, approved for  
9 IV abuse deterrence only or what do you mean by  
10 approved?

11 DR. HERTZ: Should we approve it at all?  
12 Should it be approved at this time?

13 DR. BROWN: Any discussion?

14 (No response.)

15 DR. BROWN: If not, if we can use our system  
16 again, please press the button on your microphone  
17 that corresponds to your vote. You will have  
18 approximately 20 seconds to vote. Please press the  
19 button firmly. After you've made your selection,  
20 the light may continue to flash. If you're unsure  
21 of your vote or wish to change your vote, please  
22 press the corresponding button again before the

1 vote is closed.

2 (Voting.)

3 DR. BROWN: Everyone has voted.

4 DR. CHOI: For the record, we have 1 yes,  
5 22 no, zero abstentions.

6 DR. BROWN: Dr. Mendelson?

7 DR. MENDELSON: I voted no, and I still  
8 don't have any more reasons.

9 DR. NELSON: Lewis Nelson. I voted no for  
10 the reasons we stated. And in this case, I'm still  
11 very concerned about unintended consequences of the  
12 availability of this drug. And in fact, I'm  
13 concerned about the entire line of ADFs as they're  
14 currently marketed.

15 DR. KLINE: Rick Kline. I voted no.

16 DR. CAMPOPIANO: Melinda Campopiano. I  
17 voted no.

18 MS. ROBOTTI: Suzanne Robotti. I voted no.  
19 I am glad that Intellipharmaeutics is attempting  
20 to add on and improve on ADF technology. I don't  
21 think that they're there yet. I have significant  
22 concerns about the blue and the gel that I've

1 expressed already.

2 There are alternatives for patients on the  
3 marketplace. There is no reason to skip category 2  
4 and 3 studies at this point. I guess that's all I  
5 have to say. Thanks.

6 DR. JONIAK-GRANT: Elizabeth Joniak-Grant.  
7 I voted no. I believe there's not sufficient data  
8 on safety. I am unconvinced that this is  
9 necessarily a deterrent and that it could possibly  
10 serve as an attractant to certain populations. And  
11 I believe there are alternatives on the marketplace  
12 that can fill the gap in the meantime.

13 DR. HIGGINS: Jennifer Higgins. I voted no.  
14 As the consumer rep, I have serious concerns about  
15 the safety of the product.

16 DR. CRAIG: David Craig. I also voted no,  
17 primarily I would say to concerns about PEO, about  
18 the blue dye, about the gelling, about the  
19 30 seconds, about lack of data, et cetera.

20 DR. McCANN: Mary Ellen McCann. I voted no.  
21 I have concerns about the safety and efficacy data  
22 for this drug.

1 DR. GALINKIN: This is Jeff Galinkin. I  
2 voted no. Further, I would like to see the FDA ask  
3 for specific studies in 11- to 17-year-olds to  
4 demonstrate safety.

5 DR. ZELTZER: Lonnie Zeltzer. I voted no  
6 for all the reasons stated, including what my  
7 neighbor over here indicated. Thank you.

8 DR. ZACHAROFF: Kevin Zacharoff. I voted no  
9 for all reasons stated and for the lack of my  
10 ability to really determine a good risk-benefit  
11 ratio, taking into account the excipients and also  
12 taking into account the lack of data from  
13 swallowing a manipulated pill.

14 DR. LITMAN: Ron Litman. I voted no for all  
15 the reasons. But I do want to just make a comment  
16 to the FDA. I don't really think it matters if you  
17 approve this drug. I think in the scheme of  
18 things, one more drug on the market as an ADF is  
19 not going to affect anything.

20 There are a couple things, like addiction  
21 will never go away. Opioids probably won't ever go  
22 away. And even if every single opioid out there



1 was an ADF, I just can't imagine it affecting much  
2 of anything.

3 I mean, one of the things that impressed me  
4 the most today was Dr. Wong's slides where the ADFs  
5 of oxycodone went down, but yet Dr. Dart's slide of  
6 the heroin going up.

7 So I just don't think ADFs are -- if they  
8 are an answer, it's just so small. And I don't  
9 think they're going to affect the opioid crisis  
10 very much at all. But certainly this drug is not  
11 going to matter, but I voted no for the reasons we  
12 stated before.

13 DR. BROWN: This is Rae Brown. I voted no  
14 for many good reasons. First, the analysis from  
15 the sponsor was incomplete. This extends to the  
16 toxicities of excipients as well as the lack of  
17 human abuse potential studies. Many explanations  
18 were given for this and many promises were made. I  
19 don't think that should ever be acceptable to the  
20 agency.

21 Let me be clear. These medications,  
22 so-called ADFs, contain large volumes of opioids.

1 They can be abused as Dr. Hertz said. Patients can  
2 become addicted to opioids with these drugs in much  
3 the same way they do with morphine or any other  
4 non-ADF.

5 Those findings will occur in some patients.  
6 We know very little about the true efficacy of the  
7 ADFs because the pharmaceutical industry has chosen  
8 not to provide this information, though it is  
9 clearly required by FDA regulation. The sponsor  
10 chose to include excipients that are known to be  
11 problematic at high concentrations.

12 The iterative process of improving the  
13 products as they come to market is very important,  
14 but equally important is to use new information to  
15 assure that we are protecting the public health.

16 The charter of the agency is to protect the  
17 public health, and in this regard, that means  
18 receiving and acting on positive information  
19 relating to safety and efficacy. Many people ask  
20 us to give support to products because of the need  
21 for more and better opioids.

22 All of us endorse the concept of providing

1 safe, efficacious products that assist in the safe  
2 treatment of pain, but I cannot endorse products  
3 that are not adequately tested or that we can  
4 predict could be toxic when they come to market.

5 DR. MEISEL: Steve Meisel. I voted no for  
6 all the reasons that Dr. Brown just mentioned.

7 DR. WARHOLAK: Terri Warholak. And I voted  
8 no largely for lack of data, especially on safety  
9 concerns. And to be honest, it kind of disturbs me  
10 that the sponsor came to the FDA with such  
11 incomplete data.

12 DR. HABEL: Laurel Habel. I also think  
13 there's a lack of both safety and efficacy data,  
14 and that's why I voted not.

15 DR. GERHARD: Toby Gerhard. I voted no, and  
16 Dr. Brown eloquently summarized my rationale.

17 DR. SETOGUCHI: Soko Setoguchi. I voted no.  
18 In addition to what I said before, for the drug to  
19 be approved, I'd like to see efficacy data for the  
20 blue dye, and then also safety data for blue dye,  
21 and then also PEO.

22 DR. NOVAK: This is Scott Novak, and I voted

1       yes. I think I agree with everything that everyone  
2       is saying. I also agree with what Dr. Litman had  
3       to say about putting too much faith in these  
4       medications to move the needle.

5               Do I think that this drug is any less safe  
6       than an existing drug that's on the market now,  
7       OxyContin? And the answer to that is no. And I  
8       don't think that -- the issue is -- from a  
9       free-market standpoint, I think that if the data  
10       are truly as incomplete as some of the more maybe  
11       biologically learned, my colleagues, are, I would  
12       hope that the FDA would rectify that. But I just  
13       didn't see the major concerns with the blue dye.

14               I don't see that's going to be a major  
15       deterrent. It may not find any traction or value  
16       on the street. I was thinking about the dye, and  
17       it's sort of like the dye packs that the bank  
18       robbers get. And how much do those dye packs  
19       really stop bank robbers from robbing a bank?

20               Also, how much do we actually think  
21       about -- when a bank robber has that dye on his  
22       hands, do we think that that's sort of a need for

1 treatment, or need for somebody to get a job or  
2 something?

3 So we just literally can't put too much  
4 faith in these medications. And we know that  
5 traction is not occurring in the marketplace  
6 because of patient value. It's because insurers  
7 are not covering the medications, and they're not  
8 being put in the hands of patients.

9 So I think there's a lot of other things  
10 that are being conflated into this conversation,  
11 all of it is very, very important. But again, I  
12 think that, for me anyway, it just comes down to  
13 the equivalence to OxyContin. And I just didn't  
14 think that the drug was -- I don't think it's going  
15 to be any more effective, but I also don't think  
16 it's going to be any less effective.

17 DR. ARFKEN: Cynthia Arfken. I voted no for  
18 the reasons Dr. Brown stated.

19 DR. SHOBEN: Abby Shoben. I voted no  
20 basically for the reasons Dr. Brown stated. I  
21 think you can't put things in the product intended  
22 to deter routes of abuse by intranasal and oral,

1 and then not evaluate them or claim you're going to  
2 evaluate them in the postmarketing setting. I  
3 think that sets a very dangerous precedent.

4 DR. SCHMID: Chris Schmid. I voted no. I  
5 was struck throughout the day by the lack of  
6 answers that the sponsor had to certain questions  
7 it seemed fairly obvious that we would ask. And I  
8 would ask if they were to come back, that they  
9 would think about this and the kinds of questions  
10 that we would ask, so that we would have this  
11 information available.

12 I'll just emphasize again, the dye is a big  
13 part of the product. You should know everything  
14 about the dye and everything we're going to ask  
15 about it, including having numbers there for us to  
16 be able to evaluate it properly.

17 DR. BROWN: Dr. Herring, do you have any  
18 closing comments?

19 DR. HERRING: First of all, thank you,  
20 Dr. Brown, for putting me as the only obstacle  
21 between the door for the group. No. But I do  
22 appreciate the opportunity. And I think today's

1       been a difficult and challenging conversation, but  
2       it's been a robust one. We've addressed some  
3       important issues.

4               I think, if there are three learnings for  
5       industry, they would be that we have to keep in  
6       mind the level of evidence required for inert  
7       excipients that may be added as innovations in  
8       abuse-deterrent formulations. And then, as we've  
9       learned from the discussion about the blue dye,  
10       it's also important to recognize if you have non-  
11       inert ingredients in your product, it may require a  
12       higher level of evidence to support efficacy and  
13       safety of the approach.

14              Then lastly, and I thought this was an  
15       interesting part of the discussion, abuse-deterrent  
16       formulations may be bounded philosophically by the  
17       clinical implications of the approach, as we've  
18       discussed today. So thank you for the opportunity  
19       to comment.

20              DR. BROWN: Thank you very much.

21              Dr. Hertz, would you like to make any  
22       closing comments?

1 DR. HERTZ: Not much, just my final thanks,  
2 and appreciate your time.

3 **Adjournment**

4 DR. BROWN: This meeting is adjourned.  
5 Please take all your personal belongings with you,  
6 as the room is cleaned at the end of the day and  
7 all the materials left on the table will be  
8 disposed of. Please also remember to drop off your  
9 name badge at the registration table on your way  
10 out so that they can be recycled.

11 I'll now adjourn the meeting. Thank you  
12 very much for being here.

13 (Whereupon, at 4:43 p.m., the open session  
14 was adjourned.)

15  
16  
17  
18  
19  
20  
21  
22