

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION

CENTER FOR DRUG EVALUATION AND RESEARCH

ONCOLOGIC DRUGS ADVISORY COMMITTEE (ODAC)

Afternoon Session

Thursday, July 13, 2017

1:01 p.m. to 4:09 p.m.

FDA White Oak Campus

White Oak Conference Center

The Great Room

Silver Spring, Maryland

1 **Meeting Roster**

2 **ACTING DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Jay R. Fajiculay, PharmD**

4 Division of Advisory Committee and

5 Consultant Management

6 Office of Executive Programs, CDER, FDA

7

8 **ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)**

9 **Grzegorz S. Nowakowski, MD**

10 Associate Professor of Medicine and Oncology

11 Mayo Clinic Rochester

12 Rochester, Minnesota

13

14 **Courtney J. Preusse, MA**

15 *(Consumer Representative)*

16 Senior Research Administrator and CLIA

17 Operations Director

18 Clinical Research Division

19 Fred Hutchinson Cancer Research Center

20 Seattle, Washington

21

22

1 **Brian I. Rini, MD, FACP**

2 *(afternoon session only)*

3 Professor of Medicine, Lerner College of Medicine

4 Leader, GU Program

5 Department of Hematology and Oncology

6 Cleveland Clinic Taussig Cancer Institute

7 Cleveland, Ohio

8

9 **Bruce J. Roth, MD**

10 *(Chairperson)*

11 Professor of Medicine

12 Division of Oncology

13 Washington University School of Medicine

14 St. Louis, Missouri

15

16 **Thomas S. Uldrick, MD, MS**

17 Clinical Director

18 HIV & AIDS Malignancy Branch

19 Center for Cancer Research

20 National Cancer Institute

21 Bethesda, Maryland

22

1 **ACTING INDUSTRY REPRESENTATIVE TO THE COMMITTEE**

2 **(Non-Voting)**

3 **Gary Gordon, MD, PhD**

4 Vice President, Oncology Development

5 Abbvie

6 North Chicago, Illinois

7
8 **TEMPORARY MEMBERS (Voting)**

9 **Deborah K. Armstrong, MD**

10 Professor of Oncology

11 Johns Hopkins Kimmel Cancer Center

12 Johns Hopkins University School of Medicine

13 Professor of Gynecology and Obstetrics

14 Johns Hopkins School of Medicine

15 Baltimore, Maryland

16
17 **Cynthia Chauhan**

18 *(Patient Representative)*

19 Wichita, Kansas

20

21

22

1 **Shein-Chung Chow, PhD**

2 Professor, Biostatistics and Bioinformatics

3 Duke University School of Medicine

4 Durham, North Carolina

5

6 **Bernard F. Cole, PhD**

7 Professor

8 Department of Mathematics and Statistics

9 University of Vermont

10 Burlington, Vermont

11

12 **Craig W. Hendrix, MD**

13 Wellcome Professor and Director

14 Division of Clinical Pharmacology

15 Departments of Medicine and Pharmacology and

16 Molecular Sciences

17 Johns Hopkins University School of Medicine

18 Baltimore, Maryland

19

20

21

22

1 **Adel H. Karara, PhD, FCP**

2 Professor of Pharmaceutical Sciences

3 School of Pharmacy

4 University of Maryland Eastern Shore

5 Princess Anne, Maryland

6

7 **Donald E. Mager, PharmD, PhD**

8 Professor of Pharmaceutical Sciences

9 Department of Pharmaceutical Sciences

10 School of Pharmacy and Pharmaceutical Sciences

11 University of Buffalo, State University of

12 New York

13 Buffalo, New York

14

15 **Antonio R. Moreira, PhD**

16 Vice Provost for Academic Affairs

17 Professor of Chemical, Biochemical and

18 Environmental Engineering

19 University of Maryland, Baltimore County

20 Baltimore, Maryland

21

22

1 **John E. Schiel, PhD**

2 Research Chemist

3 Institute for Bioscience and Biotechnology Research

4 National Institute of Standards and Technology

5 Rockville, Maryland

6

7 **Andrew D. Seidman, MD**

8 *(afternoon session only)*

9 Attending Physician

10 Breast Medicine Service

11 Associate Chair, Academic Administration

12 Department of Medicine

13 Memorial Sloan Kettering Cancer Center

14 Professor of Medicine

15 Weill Cornell Medical College

16 New York, New York

17

18

19

20

21

22

1 **Scott A. Waldman, MD, PhD, FCP, FAHA**

2 Director, Gastrointestinal Cancer Program of the
3 Sidney Kimmel Cancer Center
4 Samuel M.V. Hamilton Professor of Medicine
5 Chair, Department of Pharmacology and
6 Experimental Therapeutics
7 Thomas Jefferson University
8 Philadelphia, Pennsylvania

9

10 **FDA PARTICIPANTS (Non-Voting)**

11 **Richard Pazdur, MD**

12 *(afternoon session only)*

13 Director, Oncology Center of Excellence, FDA
14 Acting Director, Office of Hematology & Oncology
15 Products (OHOP), OND, CDER, FDA

16

17 **Leah Christl, PhD**

18 Associate Director for Therapeutic Biologics
19 OND Therapeutic Biologics and Biosimilars
20 Staff (TBBS)
21 OND, CDER, FDA

22

1 **Julia Beaver, MD**

2 *(afternoon session only)*

3 Acting Director

4 Division of Oncology Products 1 (DOP1)

5 OHOP, OND, CDER, FDA

6

7 **Steven Kozlowski, MD**

8 Director

9 Office of Biotechnology Products (OBP)

10 Office of Pharmaceutical Quality (OPQ)

11 CDER, FDA

12

13 **Laleh Amiri-Kordestani, MD**

14 *(afternoon session only)*

15 Clinical Team Leader

16 Breast Cancer Team

17 DOP1, OHOP, OND, CDER, FDA

18

19

20

21

22

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Bruce Roth, MD	12
5	Conflict of Interest Statement	
6	Jay Fajiculay, PharmD	16
7	Opening Remarks	
8	Laleh Amiri-Kordestani, MD	22
9	Applicant Presentations - Mylan GmbH	
10	Introduction	
11	Arnd Annweiler, PhD	25
12	Analytical and Nonclinical Demonstration of	
13	Similarity	
14	Patrick Vallano, PhD	30
15	Confirmatory Clinical Efficacy and Safety	
16	Abhijit Barve, MD, PhD	38
17	Clinical Perspective	
18	Hope Rugo, MD	50
19	Totality of the Evidence and Concluding	
20	Remarks	
21	Arnd Annweiler, PhD	57
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	FDA Presentations	
4	Product Quality	
5	Kristen Nickens, PhD	59
6	Meiyu Shen, PhD	64
7	Clinical Pharmacology	
8	Brian Furmanski, PhD	75
9	Clinical Efficacy and Safety	
10	Jennifer Gao, MD	79
11	Summary of FDA Findings	
12	Jennifer Gao, MD	83
13	Clarifying Questions to Presenters	86
14	Open Public Hearing	114
15	Questions to the Committee and Discussion	149
16	Adjournment	159
17		
18		
19		
20		
21		
22		

P R O C E E D I N G S

(1:01 p.m.)

Call to Order

Introduction of Committee

1 DR. ROTH: Good afternoon. I'd first like
2 to remind everyone to please silence your cell
3 phones, smart phones, and any other devices if you
4 have not already done so. I'd also like to
5 identify the FDA press contact, Angela Stark, who
6 will re-identify in a while.
7

8 We'll go around the table -- there are some
9 new members who were not here this
10 morning -- introduce yourselves, and we'll start on
11 this side. Dr. Gordon.
12

13 DR. GORDON: Gary Gordon, AbbVie Oncology
14 industry representative.
15

16 DR. MOREIRA: Antonio Moreira, vice provost
17 and professor of chemical, biochemical, and
18 environmental engineering at the University of
19 Maryland, Baltimore County.
20

21 MR. SCHIEL: John Schiel of NIST. I
22 coordinate biopharmaceutical reference materials

1 and perform analytical chemistry characterization.

2 DR. SEIDMAN: Andrew Seidman, medical
3 oncologist, Memorial Sloan Kettering Cancer Center.

4 DR. HENDRIX: Craig Hendrix, clinical
5 pharmacology, Johns Hopkins.

6 DR. COLE: Bernard Cole, biostatistics,
7 University of Vermont.

8 MS. CHAUHAN: Cynthia Chauhan, patient
9 representative.

10 MS. PREUSSE: Courtney Preusse, Fred
11 Hutchinson, CLIA operations director, and consumer
12 representative.

13 DR. NOWAKOWSKI: Greg Nowakowski, oncologist
14 at Mayo Clinic, Rochester.

15 DR. ULDRICK: Thomas Uldrick, medical
16 oncologist, CCR NCI.

17 DR. ROTH: Bruce Roth, medical oncologist
18 Washington University in St. Louis, and chair of
19 the committee.

20 DR. FAJICULAY: Jay Fajiculay; designated
21 federal officer for this Oncology Drug Advisory
22 Committee, FDA.

1 DR. RINI: Brian Rini, I'm a GU medical
2 oncologist from the Cleveland Clinic.

3 DR. WALDMAN: Scott Waldman, clinical
4 pharmacology, Thomas Jefferson University,
5 Philadelphia.

6 DR. ARMSTRONG: Deb Armstrong, medical
7 oncology, Johns Hopkins in Baltimore.

8 DR. KARARA: Adel Karara, pharmaceutical
9 sciences, University of Maryland Eastern Shore.

10 DR. CHOW: Shein Chow, Biostatistics and
11 Bioinformatics at Duke University School of
12 Medicine.

13 DR. MAGER: Don Mager, professor of
14 pharmaceutical sciences at the University of
15 Buffalo.

16 MS. KENNETT: Sarah Kennett, FDA, Office of
17 Biotechnology Products review chief, and product
18 quality team lead for this application.

19 DR. AMIRI-KORDESTANI: Laleh Amiri, FDA.
20 I'm the clinical team leader for this application.

21 DR. BEAVER: Julia Beaver, FDA acting
22 director, Division of Oncology Products 1.

1 DR. KOZLOWSKI: Steve Kozlowski, FDA
2 director of the Office of Biotechnology Products.

3 DR. CHRISTL: Leah Christl, associate
4 director for Therapeutic Biologics Office of New
5 Drugs, FDA.

6 DR. PAZDUR: Richard Pazdur, director,
7 Oncology Center of Excellence.

8 DR. ROTH: Thank you. For topics such as
9 those being discussed at today's meeting, there are
10 often a variety of opinions, some of which are
11 quite strongly held.

12 Our goal is that today's meeting will be a
13 fair and open forum for discussion of these issues,
14 and that individuals can express their views
15 without interruption. Thus, as a gentle reminder,
16 individuals will be allowed to speak into the
17 record only if recognized by the Chairperson. We
18 look forward to a productive meeting.

19 In the spirit of the Federal Advisory
20 Committee Act, and the Government in the Sunshine
21 Act, we ask that the advisory committee members
22 take care their conversations about the topic at

1 hand take place in the open forum of the meeting.

2 We are aware that members of the media are
3 anxious to speak with the FDA about these
4 proceedings; however, the FDA will refrain from
5 discussing the details of this meeting with the
6 media until its conclusion.

7 Also, the committee is reminded to please
8 refrain from discussing the meeting topic during
9 breaks. Thank you.

10 Now, I'll pass it on to Dr. Jay Fajiculay
11 who is acting as our DFO for this afternoon's
12 meeting, to read in the conflict of interest
13 statement.

14 **Conflict of Interest Statement**

15 DR. FAJICULAY: The Food and Drug
16 Administration is convening today's meeting of the
17 Oncologic Drugs Advisory Committee under the
18 authority of the Federal Advisory Committee Act of
19 1972. With the exception of the industry
20 representative, all members and temporary voting
21 members of the committee are special government
22 employees or regular federal employees from other

1 agencies and are subject to federal conflict of
2 interest laws and regulations.

3 The following information on the status of
4 this committee's compliance with federal ethics and
5 conflict of interest laws, covered by but not
6 limited to those found at 18 U.S.C., Section 208,
7 is being provided to participants in today's
8 meeting and to the public.

9 FDA has determined that members and
10 temporary voting members of this committee are in
11 compliance with the federal ethics and conflict of
12 interest laws. Under 18 U.S.C., Section 208,
13 Congress has authorized FDA to grant waivers to
14 special government employees and regular federal
15 employees who have potential financial conflicts
16 when it is determined that the agency's need for a
17 special government employee's services outweighs
18 his or her potential financial conflict of interest
19 or when the interest of a regular federal employee
20 is not so substantial as to be deemed likely to
21 affect the integrity of the services which the
22 government may expect from the employee.

1 Related to the discussions of today's
2 meeting, members and temporary voting members of
3 this committee have been screened for potential
4 financial conflicts of interest of their own, as
5 well as those imputed to them, including those of
6 their spouses or minor children, and for purposes
7 of 18 U.S.C., Section 208, their employers. These
8 interests may include investments; consulting;
9 expert witness testimony; contracts/grants/CRADAs;
10 teaching/speaking/writing; patents and royalties;
11 and primary employment.

12 Today's agenda involves Biologics License
13 Application 761074 for for MYL-14010, a proposed
14 biosimilar to Genentech Inc.'s Herceptin or
15 trastuzumab, submitted by Mylan GmbH. The proposed
16 indications for this product are:

17 1) Adjuvant treatment of HER2-overexpressing
18 node-positive or node-negative, ER/PR negative, or
19 with one high-risk feature breast cancer, (a) as
20 part of a treatment regimen consisting of
21 doxorubicin, cyclophosphamide, and either
22 paclitaxel or docetaxel; (b) with docetaxel and

1 carboplatin; or (c) as a single agent following
2 multi-modality anthracycline based therapy;

3 2) In combination with paclitaxel for
4 first-line treatment of HER2-overexpressing
5 metastatic breast cancer;

6 3) As a single agent for treatment of
7 HER2-overexpressing breast cancer in patients who
8 have received one or more chemotherapy regimens for
9 metastatic disease; and,

10 4) In combination with cisplatin and
11 capecitabine or 5-fluorouracil, for the treatment
12 of patients with HER2 overexpressing metastatic
13 gastric or gastroesophageal junction adenocarcinoma
14 who have not received prior treatment for
15 metastatic disease.

16 This is a particular matters meeting, in
17 which specific matters related to Mylan's BLA will
18 be discussed.

19 Based on the agenda of today's meeting and
20 all financial interests reported by the committee
21 members and temporary voting members, a conflict of
22 interest waiver has been issued in accordance with

1 18 U.S.C., Section 208 (b)(3) to Dr. Andrew
2 Seidman.

3 Dr. Seidman's waiver involves his employer's
4 current study involving a potentially competing
5 firm, which is anticipated to be between \$850,000
6 and \$900,000 in total funding. The waiver also
7 addresses a consulting agreement with a potentially
8 competing firm, which he receives between \$10,001
9 and \$25,000 per year.

10 The waiver allows this individual to
11 participate fully in today's deliberations. FDA's
12 reasons for issuing the waivers are described in
13 the waiver documents, which are posted at the FDA's
14 website at;

15 [www.FDA.gov/advisorycommittee/committeemeetingmater
16 ials/drugs/default.htm](http://www.FDA.gov/advisorycommittee/committeemeetingmaterials/drugs/default.htm)

17 Copies of the waiver may also be obtained by
18 submitting a written request to the agencies
19 Freedom of Information Division at;

20 5630 Fishers Lane, Room 1035

21 Rockville, Maryland 20857

22 Or requests may be sent via fax to;

1 301-827-9267

2 To ensure transparency we encourage all
3 standing members and temporary voting members to
4 disclose any public statements that they have made
5 concerning the product at issue.

6 With respect to FDA's invited industry
7 representative, we would like to disclose that Dr.
8 Gary Gordon is participating in this meeting as a
9 non-voting industry representative acting on behalf
10 of regulated industry. Dr. Gordon's role at this
11 meeting is to represent industry in general and not
12 any particular company. Dr. Gordon is employed by
13 AbbVie.

14 We would like to remind members and
15 temporary voting members that if discussions
16 involve any other products of firms not already on
17 the agenda, for which an FDA participant has a
18 personal or imputed financial interest, the
19 participants need to exclude themselves from such
20 involvement and their exclusion will be noted for
21 the record.

22 FDA encourages all other participants to

1 advise the committee of any financial relationships
2 that they may have made with the firm at issue.

3 Thank you.

4 DR. ROTH: Thank you, Jay. We will begin
5 the afternoon with some opening remarks from the
6 FDA, and specifically from Dr. Amiri-Kordestani.

7 **Opening Remarks - Laleh Amiri-Kordestani**

8 DR. AMIRI-KORDESTANI: Thank you. Good
9 afternoon chairperson, members of the ODAC, we are
10 here today to discuss an application for MYL-14010,
11 a proposed biosimilar to U.S. Herceptin.

12 During FDA's presentation we will use the
13 term Mylan product to describe MYL-14010 and U.S.
14 Herceptin to describe U.S. licensed Herceptin.

15 This application is being presented at
16 today's advisory committee meeting because this
17 represents the first FDA application for a proposed
18 biosimilar to U.S. Herceptin.

19 This slide displays the FDA review team.
20 The proposed indications for Mylan product are the
21 same as for U.S. Herceptin. I'm not going to read
22 it, as it was just read for you.

1 We would like the committee to discuss the
2 following topics today. The first topic is to
3 discuss whether the evidence supports a
4 demonstration that Mylan product is highly similar
5 to U.S. Herceptin, notwithstanding minor
6 differences in clinically inactive components.

7 The second topic would be to discuss whether
8 the evidence supports a demonstration that there
9 are no clinically meaningful differences between
10 the Mylan product and U.S. Herceptin in the studied
11 condition of use.

12 The applicant conducted a study to evaluate
13 the PK similarity between their product U.S. and EU
14 Herceptin, and one comparative clinical study to
15 evaluate the efficacy and safety of the Mylan
16 product and EU Herceptin in patients with untreated
17 metastatic HER2-positive breast cancer. Details on
18 the study design, study population, endpoints, and
19 results will be discussed by both the applicant and
20 the FDA.

21 The third topic for discussion is to discuss
22 whether there is adequate scientific justification

1 to support licensure for all the proposed
2 indications.

3 Finally, we would like the committee to vote
4 on the following question. Does the totality of
5 the evidence support licensure of the MYL-14010 as
6 a biosimilar product to U.S. Herceptin for the
7 following indications, for which U.S. Herceptin is
8 licensed and for which Mylan is eligible for
9 licensure, meaning HER2-positive breast cancer in
10 the adjuvant and metastatic settings.

11 Thank you for your participation today.

12 DR. ROTH: Thank you. Both the Food and
13 Drug Administration and the public believe in a
14 transparent process for information gathered and
15 decision making. To ensure such transparency at
16 the advisory committee meeting the FDA believes
17 that it's important to understand the context of an
18 individual's presentation. For this reason FDA
19 encourages all participants, including the
20 sponsor's non-employee presenters, to advise the
21 committee of any financial relationships that they
22 may have with the firm at issue, such as consulting

1 fees, travel expenses, honorarium, and interest in
2 the sponsor including equity interest and those
3 based upon the outcome of the meeting.

4 Likewise, FDA encourages you at the
5 beginning of your presentation to advise the
6 committee if you do not have any such financial
7 relationships. If you choose not to address this
8 issue of financial relationships at the beginning
9 of your presentation it will not preclude you from
10 speaking.

11 We will now proceed with the applicant's
12 presentation, Dr. Annweiler.

13 **Applicant Presentation - Arnd Annweiler**

14 DR. ANNWEILER: Good afternoon, Dr. Roth,
15 members of the advisory committee, FDA. My name is
16 Arnd Annweiler, Mylan R&D. Thirty years ago Dennis
17 Slamon, at the University of California in Los
18 Angeles described HER2-overexpression in a subset
19 of patients with breast cancer. This discovery led
20 to the development and approval of trastuzumab,
21 marking a breakthrough in the treatment of patients
22 with breast cancer.

1 It is a privilege to be here today, and to
2 present to you the first biosimilar candidate for
3 this lifesaving essential medicine.

4 MYL-14010 was developed in partnership with
5 Biocon as part of a wider collaboration across a
6 range of biosimilars and insulin analogs.

7 MYL-14010 is a proposed biosimilar to U.S.
8 licensed Herceptin, and the BLA was first approved
9 in 1998. Trastuzumab is a monoclonal antibody,
10 specific for the HER2 receptor and initiation of
11 treatment is based on the confirmed HER2-positive
12 diagnosis, which tightly links diagnosis and
13 treatment to the mechanism of action.

14 Central to the mechanism of action is the
15 binding of the antibody to the HER2 receptor. All
16 downstream effects including the inhibition of
17 proliferation and the antibody-dependent tumor cell
18 lysis follow from this specific binding. The
19 mechanism of action is preserved across all
20 approved indications of the reference product,
21 which is important in the concept of extrapolation.

22 The development of MYL-14010, followed the

1 principles and key concepts of the biosimilar
2 development path, and incorporated advice obtained
3 from the FDA throughout development.

4 Accordingly, a biosimilar must be shown to
5 be highly similar to the reference product with no
6 clinically meaningful differences in terms of
7 safety, purity, and potency. Biosimilarity is then
8 judged on the totality of evidence obtained across
9 all its studies, and in this context the role of
10 the clinically development is confirmatory and not
11 meant to reestablish all indications that have
12 already been tested and approved by the reference
13 product.

14 Extrapolation to indications is then based
15 on the demonstration of analytical similarity
16 confirmed by clinical testing in a sensitive
17 patient population, and taking into consideration
18 the mechanism of action and other conditions of
19 use. Extrapolation is then based on the
20 expectation that essentially the same molecule will
21 behave and perform in the same way in all
22 indications, for which the reference product was

1 tested and approved.

2 Applying this principal to the development
3 of MYL-14010, followed a step-wise approach and
4 addressed residual uncertainties at each step. At
5 the outset structure and function were compared
6 side-by-side with the reference product using
7 highly sensitive analytical methods, and focusing
8 on aspects of the molecule that are highly relevant
9 to the mechanism of action and clinical
10 performance.

11 Non-clinical safety was assessed in two
12 cell-based studies and two safety pharmacology
13 studies in cyno monkeys, and as part of the
14 clinical development PK similarity was assessed in
15 two PK studies; including a three-way PK bridging
16 study.

17 Finally, the HERITAGE study confirmed
18 efficacy, safety, and immunogenicity in
19 HER2-positive patients with metastatic breast
20 cancer, representing a sensitive patient
21 population. The data obtained across all these
22 studies will demonstrate high similarity with no

1 clinically meaningful differences, and the totality
2 of evidence will support biosimilarity of MYL-14010
3 to Herceptin.

4 Based on the totality of evidence we propose
5 MYL-14010 as a biosimilar to trastuzumab Herceptin
6 for the same indications as the reference product,
7 including the treatment of HER2-overexpressing
8 breasts and metastatic gastric cancer.

9 Our team will now lead you through the
10 development program and the data obtained across
11 our studies. Dr. Vallano will begin with the
12 analytical demonstration of similarity, Dr. Barve
13 will lead you through the confirmatory clinical
14 efficacy and safety program, and we also have the
15 honor to have Dr. Hope Rugo with us; professor of
16 medicine at the University of California in San
17 Francisco, and one of the foremost breast cancer
18 researchers and treating physicians. Dr. Rugo was
19 also our principal investigator in the HERITAGE
20 study, and she will share with you the clinical
21 perspective. We also consulted with Dr. Gradishar
22 and Dr. Henry, and are very happy to have them as

1 part of our lineup here today.

2 DR. Vallano, please come to the podium to
3 present on the analytical demonstration of
4 similarity.

5 **Applicant Presentation - Patrick Vallano**

6 DR. VALLANO: Thank you Dr. Annweiler. Good
7 afternoon Chairman Roth, and ladies and gentlemen
8 of the committee.

9 My name is Pat Vallano. I head Global
10 Biologic Scientific Affairs at Mylan. I've been a
11 Mylan employee now for just under 20 years, most of
12 that as an analytical chemist. It is indeed a
13 privilege to be here before you today to discuss
14 how we demonstrated MYL-14010 to be highly similar
15 to Herceptin.

16 Trastuzumab is a humanized IgG1 monoclonal
17 antibody. Its characteristic Y-shaped structure is
18 depicted here on the slide. Trastuzumab possesses
19 many molecular characteristics that define it and
20 that are measurable. These include
21 physicochemical, as well as an array of different
22 biologic characteristics. Each of these is

1 potentially useful when comparing a biosimilar
2 trastuzumab to Herceptin.

3 At the outset of our analytical similarity
4 program we assessed this constellation of different
5 molecular characteristics from the vantage point of
6 clinical relevance. We assessed each with regard
7 to its potential to impact clinical safety,
8 efficacy, immunogenicity, and PK.

9 Through this analysis we placed each
10 characteristic into 1 of 4 criticality ranks, as
11 indicated on the slide. We measured and included
12 in our assessment, characteristics across the
13 criticality spectrum, but the ranking was important
14 because it helped inform what the acceptance
15 criteria would be to make the determination of high
16 similarity.

17 We performed our analytical program in a
18 three-way fashion. The analysis included
19 MYL-14010, as well as U.S. licensed Herceptin, and
20 also included EU approved Herceptin, which was done
21 in order to bridge to the EU product used in our
22 confirmatory clinical study.

1 Arguably, the most fundamental aspect of the
2 structure of most any protein is its amino acid
3 sequence. We performed extensive analyses of
4 MYL-14010 and Herceptin using multiple protease
5 enzymes coupled with tandem mass spectrometry, and
6 demonstrated that the amino acid sequence in
7 MYL-14010 was identical to that in Herceptin.

8 As we know, proteins exhibit multiple levels
9 of structure, in addition to amino acid sequence,
10 proteins assume a characteristic three-dimensional
11 structure that's key for the protein's biologic
12 activity. We evaluated the three-dimensional
13 structures of MYL-14010 and Herceptin using a panel
14 of different analytical methods. One of these was
15 a technique known as differential scanning
16 calorimetry, and in the DSC experiment one heats
17 the protein and detects the temperature at which
18 the protein unfolds.

19 We demonstrated in our analysis that the
20 unfolding temperatures of MYL-14010 and Herceptin,
21 as indicated by the location of the peak maxima
22 along the horizontal temperature axis, were highly

1 similar. This constituted a key piece of
2 information that allowed us to conclude that the
3 three-dimensional structures of the products were
4 highly similar.

5 Aggregates are relevant for protein
6 therapeutics, due to their potential to cause
7 immunogenicity. This slide shows the results of a
8 size exclusion chromatography analysis, whereby the
9 aggregate content in MYL-14010 and Herceptin was
10 assessed.

11 Just a few points to note on interpretation
12 of the data in this plot. Each individual data
13 point corresponds to a unique lot of either
14 MYL-14010 or Herceptin that was analyzed.

15 Secondly, there's no numeric significance to
16 the X-axis or the horizontal axis in this plot.
17 The data points were spread along the horizontal
18 axis merely to help visualize the data. You'll
19 also notice two horizontal green lines, these
20 denote upper and lower acceptance limits that were
21 set based upon the mean of the U.S. Herceptin plus
22 or minus three standard deviations.

1 As you can see, each of the MYL-14010 lots
2 fell within these limits, thereby demonstrating the
3 aggregate content in the products were highly
4 similar.

5 Glycan variants are another important
6 structural characteristic of an antibody, such as
7 trastuzumab. Again, we employed a number of
8 different analytical methods to characterize glycan
9 variants in the products.

10 This slide shows the results of a glycan
11 profiling analysis, whereby the glycans were
12 released from the antibody using an enzyme, and
13 subsequently quantified using HPLC. In this
14 particular analysis we demonstrated high similarity
15 for 12 of the 13 species that were quantified.

16 We did observe a marginal difference in one
17 high-mannose species Man6, indicated here. But, we
18 subsequently demonstrated that this marginal
19 difference observed had no impact on clinical PK.

20 Coming now to function, we evaluated the
21 binding of MYL-14010 and Herceptin to a panel of
22 different Fc receptors, the most important of which

1 were the Fc gamma IIIa receptor, which is expressed
2 on the surface of various effector cells that
3 mediate ADCC, as well as the FcRn receptor, which
4 is known to affect clearance of IgG-based
5 antibodies.

6 With each of these analyses, all of the
7 MYL-14010 lots fell within the limits defined by
8 U.S. Herceptin, thereby demonstrating high
9 similarity of the products with respect to binding
10 to each of these important Fc receptors.

11 One of the most important components of our
12 analytical similarity program was our panel of
13 clinically relevant functional assays. As Dr.
14 Annweiler mentioned, the central step in
15 trastuzumab's mechanism of action is the binding of
16 the antibody to the HER2 receptor. This binding
17 event gives rise to downstream effects, namely the
18 inhibition of tumor cell proliferation and tumor
19 cell lysis through an ADCC mechanism.

20 We developed and implemented highly
21 sensitive analytical methods to interrogate each
22 one of these key steps along trastuzumab's

1 mechanism of action.

2 This slide shows the result of the HER2
3 receptor binding analysis that we performed. Given
4 the very high criticality of this particular test,
5 the data was evaluated using statistical
6 equivalence criteria. Briefly, we calculated an
7 equivalence margin based upon a multiplier of 1.5,
8 followed the standard deviation in the reference
9 product. We next calculated 90 percent confidence
10 intervals for the mean difference between the test
11 and the reference products, and in order to
12 conclude equivalence, the confidence intervals had
13 to fall within that prescribed equivalence margin.

14 As you can see in the upper left portion of
15 the slide, the comparison of MYL-14010 and U.S.
16 Herceptin met the statistical criteria, thus,
17 demonstrating that the HER2 binding of MYL-14010
18 was equivalent to that of Herceptin.

19 Similarly in the bottom left portion of the
20 side, the comparison of EU to U.S. Herceptin is
21 shown. Again, those pair-wise comparison met the
22 statistical equivalence criteria.

1 This slide shows the results of the
2 inhibition of cell proliferation assay. Once again
3 the statistical criteria were met, demonstrating
4 that the inhibition of proliferation of MYL-14010
5 was equivalent to that of Herceptin.

6 Then finally the ADCC assay, once again,
7 each comparison met the statistical criteria
8 demonstrating that the ADCC activity in MYL-14010
9 was equivalent to that in Herceptin.

10 Collectively, looking across each of these
11 key mechanism of action-based functional assays,
12 we've demonstrated that the biologic activity of
13 MYL-14010 was equivalent to that of Herceptin. A
14 finding that's wholly consistent with a high degree
15 of similarity observed between the products at the
16 physicochemical level.

17 I would also note that what we've seen and
18 discussed here today constitutes a subset of a much
19 larger body of data. In total we brought to bear
20 over 35 sensitive state of the art analytical
21 methods in our demonstration of similarity between
22 MYL-14010 and Herceptin.

1 I will now conclude by saying that through
2 an extensive battery of testing, we have
3 established that MYL-14010 and Herceptin are highly
4 similar both with respect to structure and to
5 function. Our non-clinical studies, which I've not
6 discussed, showed no differences in non-clinical
7 toxicity between the products, and thus, provided
8 confirmatory evidence of the high degree of
9 similarity between MYL-14010 and Herceptin.

10 I'll now turn the podium over to my
11 colleague, Dr. Barve, to discuss the clinical
12 program.

13 **Presentation - Abhijit Barve**

14 DR. BARVE: Thank you, Dr. Vallano. Good
15 afternoon, my name is Abhijit Barve, and I head
16 Global Clinical Research at Mylan.

17 It is my pleasure to present the PK and
18 clinical program to demonstrate biosimilarity of
19 our product, MYL-14010.

20 The PK program included one pivotal study in
21 healthy volunteers, and three supportive studies.

22 The clinical program included one

1 confirmatory safety and efficacy study in MBC
2 patients, and supportive study in MBC patients
3 conducted with a slightly different formulation.

4 This slide provides an overview of the PK
5 assessment done across different studies. The
6 pivotal study, study 1002, was a three-way parallel
7 study in healthy male volunteers. The supportive
8 studies included study 1001, a two-way crossover
9 study in healthy males, and studies 3001 and BM200
10 where PK was evaluated in MBC patients.

11 This slide depicts the design of study 1002,
12 three-way PK bridging study in healthy volunteers.
13 Here 132 healthy males received either our product,
14 U.S. Herceptin, or EU Herceptin. An 8 mg per
15 kilogram dose was used, and PK sampling was done
16 over a 10-week period.

17 This slide shows the time concentration
18 profile for the three products. As you can see
19 here, the profiles are overlapping. The insert
20 here compares our product against U.S. Herceptin,
21 and as you can see, the ratio for both AUC and
22 C-max is close to 1.0 and 90 percent confidence

1 intervals are within the 80 to 125 percent range.
2 Based on this data, we conclude that our product is
3 bioequivalent to U.S. Herceptin.

4 We also compared U.S. Herceptin against EU
5 Herceptin. Once again, the ratio for AUC and C-max
6 are close to 1.0, and 90 percent confidence
7 intervals are within the equivalence margin. These
8 data confirm that U.S. Herceptin is bioequivalent
9 to EU Herceptin, thereby establishing a bridge and
10 allowing us to use the EU product in our
11 confirmatory safety and efficacy study.

12 This slide presents the trough concentration
13 on MBC patients from study 3001. The figure shows
14 concentration prior to dosing in cycles 2, 4, 6, 8,
15 and 9. The ratio of concentration before cycles 2
16 and 6 are presented in the insert, and are close to
17 1.0 with 90 percent confidence intervals within the
18 equivalence margin. These data confirm that the
19 exposure is similar in MBC patients.

20 Here is an overview of the clinical program.
21 Unlike novel molecules, the goal of the
22 confirmatory study within the biosimilar paradigm

1 is quite different. The goal is to confirm the
2 high similarity shown in analytical development,
3 and to demonstrate that there are no clinically
4 meaningful differences in efficacy and safety.

5 Our confirmatory efficacy study was
6 conducted in 500 MBC patients, and is referred to
7 as study 3001 or HERITAGE study. Safety and
8 immunogenicity was also evaluated in each of the
9 three supportive studies. In addition, efficacy
10 was also evaluated in study BM200.

11 Before we get into the design of the study,
12 this slide provides the rationale for the HERITAGE
13 study, and the choice of MBC as the potential
14 patient population and ORR as the primary endpoint.
15 MBC represents a broad and sensitive population.
16 It was the earliest indication approved for
17 Herceptin, and extensive efficacy and safety data
18 is available.

19 MBC study allowed us to evaluate safety,
20 efficacy, and immunogenicity with taxanes and as
21 monotherapy. It also allowed us to evaluate data
22 beyond 52 weeks of treatment.

1 With regards to ORR, it is a sensitive
2 endpoint to detect clinically meaningful
3 differences in efficacy. It correlates well with
4 traditional efficacy endpoints like time to
5 progression, progression-free survival in
6 HER2-positive metastatic breast cancer patients.

7 The choice of ORR and MBC was discussed with
8 both the FDA and EMA, and was appropriate for
9 developing a biosimilar for Herceptin.

10 This slide provides the design of the
11 HERITAGE study. It was a double-blind study and
12 had two parts. During part one; HER2-positive MBC
13 patients received either our product or Herceptin
14 every 3 weeks for 8 cycles with taxanes.

15 The sites could choose either weekly
16 paclitaxel or 3 weekly docetaxel for all the
17 patients that were randomized at that site. After
18 24 weeks patients who had a complete response,
19 partial response, or stable disease continue to
20 receive our product or Herceptin until disease
21 progression. Data until week 48 was included in
22 this application. The study will continue until 36

1 months from the last patient's first visit, or 240
2 deaths.

3 In this study we used a 6 mg per kilogram
4 dose of trastuzumab throughout, except for
5 reloading dose of 8 mg per kilogram. The data for
6 this study was published in JAMA early this year.
7 The selection criteria were standard for a
8 first-line Herceptin study in metastatic breast
9 cancer setting, and included confirmation of HER2
10 using either FISH or immunochemistry done at a
11 central laboratory.

12 This slide presents the study endpoints.
13 The primary endpoints for the study was ratio of
14 best ORR by week 24, based on cumulative assessment
15 done by a blinded central oncologist. The
16 equivalence margin to demonstrate similar efficacy
17 between the two products was 0.81 to 1.24, and was
18 based on meta-analysis of multiple studies.

19 The secondary endpoints included time to
20 progression, progression-free survival, and overall
21 survival at week 48, and comparative safety and
22 immunogenicity with taxanes and as monotherapy.

1 The disposition and the patient population
2 is presented here. Five-hundred MBC patients were
3 randomized. Of these, 42 patients were randomized
4 under an older version of the protocol that allowed
5 for second-line trastuzumab use. Four-hundred and
6 fifty-eight patients were randomized under
7 first-line protocol, and constitute the ITT1
8 population. This is the primary population for
9 analysis.

10 The per-protocol population is a subset of
11 ITT1, while ITT2 includes all the 500 randomized
12 patients. Of the 230 patients randomized in our
13 arm under ITT1, 173 completed part 1 of the study,
14 and 111 completed 48 weeks. In the Herceptin arm,
15 of the 228 patients, 159 completed part 1, and 90
16 completed 48 weeks.

17 The key baseline characteristics and disease
18 history are presented here, and are comparable
19 across both arms. Eighty-four percent of the
20 patients received docetaxel, and approximately
21 8 percent of patient had a history of trastuzumab
22 use in an adjuvant setting.

1 Coming to the efficacy data, in the ITT1
2 population the overall response rate at week 24 was
3 70 percent in our arm, and 64 percent in the
4 Herceptin arm. The ratio of ORR was 1.09 and
5 90 percent confidence intervals were within the
6 pre-specified equivalence margin of 0.81 to 1.24.

7 Based on this data, the primary endpoint for
8 the study was achieved and it supports similar
9 efficacy between the two products.

10 As part of the sensitivity analysis, we also
11 looked at efficacy in the per-protocol population,
12 the ITT2 population, and based on investigator
13 assessment. As can be seen here, for each of these
14 assessments the 90 percent confidence interval was
15 within the equivalence margin. These data further
16 support similar efficacy between the products.

17 As indicated earlier, all the ORR at week 24
18 was the primary endpoint. We also analyzed PFS
19 data at week 48. At week 48 there were 102 events
20 in both arms, the P-value of 0.842. The
21 unstratified hazard ratio was 0.97, further
22 supporting similar efficacy.

1 Based on the 48 week cutoff, the median PFS
2 was estimated to be 11.1 months. The median OS has
3 not been reached.

4 Moving on to clinical safety. This slide
5 depicts the cumulative adverse events over 48 weeks
6 presented on the left and the new onset adverse
7 events during the monotherapy part on the right.
8 Various perimeters were assessed; like overall
9 adverse events, grade 3 or higher adverse events,
10 and as you can see for each of these perimeters the
11 rates were comparable between the two arms.

12 This slide lists the serious adverse events
13 occurring in more than 2 percent of the population
14 at week 48. The rates are comparable across both
15 arms; most of these events appear to be related to
16 concomitant taxane use.

17 The common adverse events occurring in
18 greater than 10 percent of the population through
19 week 48 are presented on this slide. Once again,
20 most of the adverse events are similar across both
21 arms. There are isolated preferred terms that are
22 higher in either group, but the rates are similar

1 to published literature.

2 This is a slide comparing safety in part 1
3 of the study where taxanes were used versus part 2
4 of the study where monotherapy was given. Clearly,
5 the incidence of adverse events is markedly lower
6 in the monotherapy part. Some isolated preferred
7 terms like arthralgia, nausea, and asthenia that
8 were higher during the first 24 weeks are no longer
9 different in the second part of the study,
10 indicating that these isolated differences are most
11 likely to be due to concomitant taxane use and
12 unlikely to be due to study drug.

13 Here we are looking at the adverse events of
14 special interest. They include pulmonary, cardiac,
15 and infusion-related events. The overall incidence
16 for each of these categories, in blue, was similar
17 in both arms. Most of these events were
18 mild-to-moderate. There were isolated differences
19 for some of the preferred terms, for which we
20 conducted a detailed assessment. However, it was
21 noted that the differences are due to the granular
22 nature of these preferred terms, and were not

1 clinically meaningful.

2 Cardiac toxicity is a known side effect of
3 trastuzumab, and in that context we conducted an
4 objective assessment of left ventricular ejection
5 fraction. LVEF was measured every 12 weeks. The
6 proportion of patients with LVEF less than
7 50 percent was 4 percent in our arm versus
8 3.3 percent in the Herceptin arm. When an
9 additional criteria of at least 10 percentage point
10 reduction was added, there were 3.6 percent of
11 patients in our arm versus 2.8 percent in the
12 Herceptin arm. LVEF recovered in the majority of
13 the patients except for 2 patients in the Herceptin
14 arm. Thus, an objective assessment of cardiac
15 toxicity did not detect any clinically meaningful
16 differences.

17 Moving on to immunogenicity. Although
18 trastuzumab has got a low immunogenic potential,
19 systematic assessment of immunogenicity is an
20 important consideration for a biosimilar. We used
21 state of the art assay and standard three-step
22 approach. This included a screening assay, a

1 confirmatory assay, and a neutralizing assay for
2 positive samples. ADA assay was a validated
3 bridging immunoassay, while the NAb assay was a
4 cell-based assay.

5 In healthy volunteer studies there were no
6 treatment emergent positivity that was seen, while
7 in the supported MBC study the positive rate was
8 low and similar in both arms.

9 In study 3001, the HERITAGE study,
10 immunogenicity was assessed at baseline week 6, 12,
11 18, 24, 36, and 48 weeks. Thus, we measured
12 immunogenicity at 6 time points post-baseline. Six
13 to nine percent of the patients were positive prior
14 to dosing, possibly due to shared ACD.

15 Post-baseline, the proportion of
16 ADA-positive patients in our arm was 3.9 percent
17 versus 4.4 percent in the Herceptin arm. The
18 titers were very low. A very small portion of
19 patients were positive for neutralizing antibodies,
20 0.4 percent in our arm and 1.3 percent in the
21 Herceptin arm.

22 During the monotherapy phase, the incidence

1 was also low at 2.1 percent in our arm and
2 1.5 percent in the Herceptin arm. These data
3 confirm that our product has similar low incidence
4 of immunogenicity as Herceptin both with taxanes
5 and as monotherapy.

6 In summary, the PK and the clinical program
7 to support biosimilarity has demonstrated that PK
8 is bioequivalent in normal, healthy volunteers, and
9 exposure is similar in MBC patients. The efficacy
10 was similar based on best ORR at week 24, and
11 supported by PFS at week 48. Comparable safety was
12 demonstrated in presence of taxanes and as
13 monotherapy. We have also demonstrated that
14 immunogenicity is low and similar in both arms.

15 At this point, I would like to invite Dr.
16 Rugo, who will provide a clinical perspective.
17 Thank you.

18 **Presentation - Hope Rugo**

19 DR. RUGO: Thank you. It's a great honor to
20 present on behalf of this first trastuzumab
21 biosimilar to my esteemed colleagues on the
22 ODAC/FDA panel.

1 My disclosures are here. I received funding
2 through the Regents at the University of California
3 for sponsored and investigator-initiated clinical
4 research studies from Genentech/Roche, and I
5 received travel support from Mylan for this meeting
6 but have not received any other financial
7 compensation.

8 I focus on breast medical oncology, and as
9 the panel knows, this is the most common cancer
10 diagnosed in women worldwide. In addition, almost
11 a million patients, individuals, worldwide will be
12 diagnosed with gastric cancer.

13 In the United States over 250,000 women, and
14 a small number of men, are diagnosed with breast
15 cancer each year and 28,000 individuals with
16 gastric cancer. Overexpression of HER2 has been
17 implicated in the pathophysiology of approximately
18 a quarter of breast cancers, and a little under
19 20 percent of gastric and gastroesophageal tumors.

20 Worldwide, limited access to treatment is an
21 issue for patients with breast and gastric cancer,
22 particularly for expensive drugs like Herceptin.

1 In addition, in the United States there is a
2 significant financial impact due to share of cost,
3 an ever-changing issue for our patients for
4 patients with specific types of insurance.
5 Biosimilars for these drugs have the potential to
6 expand patient access and use.

7 Trastuzumab, in clinical practice, has
8 changed the treatment course of HER2-overexpressing
9 tumors really in a very dramatic way, curing women
10 who otherwise would not be cured of breast cancer,
11 and prolonging survival in metastatic disease.

12 In 1998, Herceptin was approved for the
13 treatment of metastatic HER2-overexpressing breast
14 cancer, changing the world of treatment for this
15 group of individuals.

16 In 2006, trastuzumab was approved for
17 adjuvant treatment of HER2-positive breast cancer.

18 Then in 2010, it was approved for the
19 treatment of metastatic HER2-positive gastric
20 cancer.

21 In addition, based on randomized trials
22 showing improvement in response rates, trastuzumab

1 is a standard therapy as part of neoadjuvant
2 treatment for early stage HER2-positive breast
3 cancer.

4 The data that led to approval has really
5 been quite striking for trastuzumab. In metastatic
6 breast cancer trastuzumab improved response rates,
7 progression-free survival, and overall survival,
8 and in early stage breast cancer at an early time
9 point after initial start of therapy improved
10 disease-free survival and overall survival, and now
11 there has been long-term follow-up showing that
12 these differences are maintained.

13 As neoadjuvant therapy for breast cancer, as
14 I mentioned, the addition of trastuzumab to
15 standard chemotherapy improved pathologic complete
16 response rates and disease-free survival in limited
17 analyses.

18 In metastatic gastric cancer the addition of
19 trastuzumab to a subgroup of patients with
20 HER2-overexpressing disease improved response,
21 progression-free survival, and overall survival.
22 Trastuzumab is clearly the gold standard for the

1 treatment of both early and late stage
2 HER2-positive breast cancer, as well as being
3 well-tolerated with modest and manageable toxicity.

4 In this slide we put the HERITAGE study data
5 in clinical perspective. It's always helpful for
6 us as clinicians to see how the data from current
7 studies corresponds to our previous gold standard
8 therapy that leads to our treatment practices.

9 You can see on the left the data from the
10 HERITAGE study that you just saw presented, and on
11 the right the historical data from both the pivotal
12 trials that led to the approval of trastuzumab, as
13 well as data from the control arm of the recently
14 published CLEOPATRA trial that included trastuzumab
15 and a taxane.

16 As you can see the 24 week overall response
17 rates are similar across all of these trials. The
18 overall response ratio, of course, was calculated
19 for the HERITAGE study based on evaluation of a
20 biosimilar, and you can see that data here with the
21 overall response ratio of 1.0 and the overall
22 response difference of 6 percent.

1 Time to progression, an important endpoint
2 for us in clinical practice when we look at new
3 drugs, at 48 weeks is almost identical across these
4 trials, which is quite fascinating and suggests
5 that indeed our population represented the
6 HER2-positive population in general.

7 Overall survival at 48 weeks is also quite
8 comparable, our safety and toxicity is comparable,
9 immunogenicity rates are low. Exposure is, of
10 course, one way that we look at tolerability of
11 drugs and safety, and exposure was comparable in
12 the HERITAGE study to the historical data.

13 There are a number of reasons to think that
14 MYL-14010 could be used across indications in
15 HER2-positive cancers. We see efficacy with
16 trastuzumab across indications. Breast and gastric
17 cancer require HER2-positive overexpression to
18 qualify for treatment, but we see binding of
19 trastuzumab to HER2 receptors, which is fundamental
20 to activity across all indications. The mechanism
21 is similar with ADCC and inhibition of
22 proliferation; in fact, it's been quite striking to

1 see how similar the efficacy is of trastuzumab
2 across indications.

3 We also have seen safety. The same dose of
4 trastuzumab is used across all indications and
5 combinations with different drugs can be used,
6 again, with safety. The current recommended use
7 for trastuzumab and adjuvant therapy is 12 to 18
8 weeks in combination with chemotherapy, followed by
9 monotherapy for a maximum of 52 weeks.

10 In metastatic breast cancer we treat for
11 about 24 weeks in combination with chemotherapy,
12 followed by monotherapy as maintenance until
13 progression with a median use of about 12 months.
14 Treatment can continue until or after progression,
15 as is the standard in the United States, for longer
16 than 52 weeks.

17 In gastric cancer a similar approach is used
18 with 24 weeks of combination therapy, followed by
19 monotherapy until progression. The safety data
20 from the HERITAGE trial with a median use of 12
21 months is quite important generating additional
22 long-term safety in immunogenicity data.

1 Approximately 200 patients continued to
2 received MYL-14010 or Herceptin beyond 52 weeks.

3 The potential use of MYL-14010 in clinical
4 practice is quite significant. Any patient
5 receiving Herceptin, of course, will be a candidate
6 for this agent, and newly diagnosed patients with
7 HER2-positive disease will have the option to start
8 with a lower cost biosimilar.

9 With that, and again, to thank you for
10 listening to the clinical perspective on this new
11 biosimilar, I'll turn the podium over to Arnd
12 Annweiler.

13 **Presentation - Arnd Annweiler**

14 DR. ANNWEILER: Thank you, Dr. Rugo, for
15 sharing your clinical perspective on the HERITAGE
16 study and this important treatment option for
17 patients with HER2-positive cancers.

18 Let me now conclude on the totality of
19 evidence. Beginning with the physicochemical
20 characterization MYL-14010 was shown to be highly
21 similar with the reference product Herceptin across
22 a broad range of analytical studies and attributes

1 including primary, secondary, and tertiary
2 structure; protein variants; and impurities.

3 As structure is informing function, we have
4 also shown high similarity across a broad range of
5 functional characteristics including HER2 binding,
6 inhibition of proliferation, ADCC, and Fc binding,
7 which are important determinants of the mechanism
8 of action and clinical performance including
9 efficacy, safety, and immunogenicity.

10 The non-clinical safety and toxicity profile
11 was comparable and consistent with published
12 information. As one would expect from the high
13 degree of analytical similarity, our clinical
14 program has confirmed PK similarity in healthy
15 volunteers, similar exposure in patients with
16 metastatic breast cancer, comparable safety and
17 immunogenicity across all studies, and equivalent
18 efficacy in patients with HER2-positive metastatic
19 breast cancer in our HERITAGE study as shared by
20 Dr. Barve and Dr. Rugo.

21 Combined, the data obtained from our
22 non-clinical, analytical, and clinical studies

1 demonstrate high similarity to the reference
2 product with no clinically meaningful differences
3 in terms of safety, purity, and potency. The
4 totality of evidence therefore supports
5 biosimilarity of MYL-14010 to Herceptin.

6 In conclusion then, the totality of evidence
7 supports biosimilarity, extrapolation from
8 molecule-to-molecule to all indications in which
9 Herceptin was tested and approved, and once
10 approved MYL-14010 will provide an additional
11 high-quality treatment option for patients with
12 HER2-positive cancers, and is expected to enhance
13 access to this important essential biologic.

14 This concludes our sponsor presentation, and
15 in the name of our joint Biocon and Mylan team, I
16 would like to thank the committee for your
17 attention.

18 DR. ROTH: Thank you very much. We'll now
19 proceed with the FDA presentations, and will begin
20 with Dr. Nickens.

21 **FDA Presentation - Kristen Nickens**

22 DR. NICKENS: Good afternoon. My name is

1 Kristen Nickens, and I will presenting the FDA's
2 analysis and conclusion based on our assessment of
3 the applicant's analytical similarity data to
4 support the Mylan product as a biosimilar to U.S.
5 licensed Herceptin also known as trastuzumab, and
6 for which we will refer to as U.S. Herceptin.

7 My colleague, Dr. Meiyu Shen, will also
8 present the results of FDA's statistical analysis
9 used to support our conclusions.

10 I will start by summarizing the structure,
11 cellular target, and recognized mechanisms of
12 action of trastuzumab. Trastuzumab is a humanized
13 IgG1 monoclonal antibody of the kappa-isotype. It
14 contains two identical glycosylated heavy chains
15 and two identical light chains. The target of
16 trastuzumab is the cell surface human epidermal
17 growth factor receptor 2.

18 HER2 is part of the HER family of
19 transmembrane tyrosine kinases that have been shown
20 to play a role in the regulation of cellular
21 survival, proliferation, adhesion, and
22 differentiation. The mechanisms of action of

1 trastuzumab are initiated through the binding of
2 the antibody FAB region to the HER2 on the target
3 cell. The binding prevents receptor activation by
4 inhibiting HER2 dimerization; promoting the
5 destruction of the intracellular portion of the
6 receptor; and inhibiting shedding of the extra
7 cellular portion of HER2, which has been associated
8 with a poor patient prognosis.

9 Subsequently, the binding inhibits
10 HER2-specific signal transduction that leads to
11 cellular survival, proliferation, and
12 differentiation.

13 Furthermore, the concomitant binding of
14 trastuzumab to HER2 and Fc receptors on certain
15 types of immune cells triggers the release of
16 cytokines, the recruitment of more immune cells,
17 and antibody-dependent cellular cytotoxicity, or to
18 a lesser extent antibody-dependent cellular
19 phagocytosis resulting in cell death.

20 As previously noted, trastuzumab is
21 glycosylated in its Fc region. This glycosylation
22 plays an important role in these effector

1 functions, and also PK.

2 This slide shows the product quality and
3 attributes assessed by the applicant to support
4 analytical similarity. The attributes can be
5 grouped into 7 categories; including primary
6 structure, higher order structure, functionality,
7 product-related species, glycosylation, drug
8 product attributes, and the stability profiles of
9 the products. The applicant used orthogonal
10 methods to assess these attributes.

11 To assess analytical similarity, the
12 applicant developed a program consisting of
13 analytical comparisons between the Mylan product
14 and U.S. Herceptin to support the demonstration
15 that the products are highly similar, as well as
16 analytical comparisons between the Mylan product,
17 U.S. Herceptin, and EU Herceptin to establish the
18 analytical portion of the scientific bridge to
19 justify the use of clinical and animal data using
20 the EU as a comparator.

21 The analytical similarity assessment
22 included a total of 16 lots of the Mylan product,

1 28 lots of U.S. Herceptin, and 38 lots of EU
2 Herceptin. The lots used in clinical studies and
3 the proposed commercial process were included in
4 the analytical similarity assessment, and the drug
5 product presentation for which the applicant is
6 requesting approval was represented. The number of
7 lots analyzed for each attribute were justified by
8 the applicant.

9 Prior to data analysis, the applicant
10 conducted a risk assessment of each quality
11 attribute to determine the criticality or
12 importance of the various attributes with respect
13 to biological activity, PK, PD, efficacy, and
14 safety including immunogenicity.

15 For comparative data analysis, the applicant
16 assigned each attribute to 1 of 3 tiers of
17 statistical analysis based on their criticality and
18 other considerations.

19 As shown in the table on the right, tier 1
20 analysis used equivalence testing; tier 2 uses
21 quality ranges, such as mean plus or minus 2 or 3
22 times standard deviations; and tier 3 uses

1 graphical comparisons. This approach is in
2 agreement with the agency's expectations. FDA's
3 assessment included independent statistical
4 analysis of the applicant's data.

5 This slide shows the graphical
6 representations of the three quality attributes
7 evaluated using tier 1's statistical analysis by
8 equivalence testing. HER2 binding, inhibition of
9 proliferation, and ADCC activity were assessed
10 using cell-based functional assays.

11 The data show that the Mylan product lots
12 have overall similar levels of biological activity
13 compared to U.S. Herceptin and EU Herceptin. To
14 further illustrate this, Dr. Shen will present the
15 statistical equivalence analysis of these
16 functional assays.

17 **FDA Presentation - Meiyu Shen**

18 DR. SHEN: Good afternoon. My name is Meiyu
19 Shen, the CMC statistical reviewer from the Office
20 of Biostatistics. I will present the tier 1
21 statistical occurrence analysis.

22 In the equivalence test, the null hypothesis

1 is defined as the mean difference of the quality
2 attribute between the test and comparator is either
3 larger than 1.5 sigma-C or smaller than negative
4 1.5 sigma-C.

5 The alternative for hypothesis is that the
6 mean difference with the mean [indiscernible] range
7 from negative 1.5 sigma-C to positive 1.5 sigma-C.
8 We conclude that this quality attribute passes the
9 equivalent test if a 90 percent confidence interval
10 for the mean difference between the test and the
11 comparator falls within the equivalence margin
12 defined by plus or minus 1.5 sigma-C.

13 Here sigma-C is estimated from the
14 comparative data generated by the applicant. Due
15 to differences in the number of lots between the
16 test and comparator, we adjusted the degrees of
17 freedom used for calculation of 90 percent
18 confidence interval for the mean difference.

19 For equivalent testing, the review team
20 focused on HER2 binding, inhibition of
21 proliferation, and ADCC activity. These assays
22 acted as the mechanisms of action. HER2 banding

1 data displayed for three products in the top figure
2 indicates the data spread of the Mylan product is
3 narrower than of U.S. licensed Herceptin and EU
4 approved Herceptin, and the mean of these three
5 products are similar.

6 The bottom figures show that 90 percent
7 confidence interval for all three pairs are
8 contained within their corresponding equivalence
9 margins, then we concluded that all three pair-wise
10 comparison for HER2 binding plus equivalence
11 testing.

12 In this slide the inhibition of
13 proliferation data for these three products,
14 showing in the top figure, indicate the mean of the
15 Mylan product is smaller than those of U.S.
16 Herceptin and EU Herceptin. The data spread for
17 these three products are quite similar since the
18 bottom figures show that 90 percent of confidence
19 intervals for all three pairs are contained within
20 the corresponding equivalence margins.

21 We've concluded that all three pairs with
22 comparison for inhibition for proliferation plus

1 equivalence testing.

2 The ADCC activity data, displayed in the top
3 figure, shows that the mean of the Mylan product is
4 slightly higher -- larger than those of the U.S.
5 Herceptin and EU Herceptin, and the data spread of
6 EU Herceptin is wider than those of the other two
7 products, since the bottom figures show that the
8 90 percent confidence intervals for all three pairs
9 are contained within their corresponding equivalence
10 margins, then we conclude that all three pair-wise
11 comparison for ADCC activity plus equivalence
12 testing.

13 Based on our independent analysis of the
14 applicant's data, we concluded that all three
15 pair-wise for all three assays passed the
16 equivalence testing. Hence, statistical
17 equivalence testing of the results of HER2 banding,
18 inhibition of proliferation, and the ADCC activity
19 support that the Mylan product is highly similar to
20 U.S. Herceptin, and also support that analytical
21 bridging between all three products.

22 Dr. Kristen Nickens will continue the CMC

1 discussion.

2 DR. NICKENS: Thank you, Dr. Shen. This
3 slide shows the applicant's evaluation of the
4 binding of the Mylan product, U.S. Herceptin, and
5 EU Herceptin to the Fc gamma RIIIIa and FcRn
6 receptors.

7 As previously noted, antibody binding to
8 these Fc receptors contributes to effector
9 functions such as ADCC, as well as the PK of the
10 product respectively.

11 The graphs on this slide show the surface
12 plasmon resonance-based binding kinetics of the
13 three products with respect to the U.S.
14 Herceptin-based quality range criteria, depicted by
15 the green lines and the EU Herceptin-based quality
16 range criteria, depicted by the dotted blue lines.
17 The analysis shows similar binding kinetics among
18 the three products. Furthermore, because other
19 types of Fc receptors can stimulate effector
20 functions, such as antibody-dependent cellular
21 phagocytosis, the applicant also assessed the
22 binding kinetics of the three products to Fc gamma

1 RIa, Fc gamma RIIa, Fc gamma RIIb/c, and Fc gamma
2 RIIb receptors. The data analysis showed similar
3 binding kinetics among the Mylan product, U.S.
4 Herceptin, and EU Herceptin.

5 This is a summary of our analytical
6 similarity assessment based on the data provided by
7 the applicant. The totality of the analytical
8 similarity data supports a conclusion that the
9 Mylan product is highly similar to U.S. Herceptin,
10 notwithstanding minor differences in clinically
11 inactive components and that the analytical
12 comparisons between the Mylan product, U.S.
13 Herceptin, and EU Herceptin support the adequately
14 established the analytical portion of the
15 scientific bridge.

16 Based on the analytical similarity data and
17 publicly available information, the Mylan product
18 has the same primary structure as U.S. Herceptin.
19 In addition, the higher order structure and
20 functional activity data support that protein
21 folding, biological activity, and the intrinsic
22 properties are similar between the two products.

1 Similar levels of protein content and most
2 product-related species and similar stability
3 profiles were observed between the two products.
4 Similar product-related species refers to the
5 presence of the same types of and similar amounts
6 of the species of interest. However, minor
7 differences and certain charge variants were
8 detected.

9 Moreover, while the levels of afucosylation
10 and total galactosylation, as well as the overall
11 glycosylation profile with respect to the presence
12 of the same glycoforms and site occupancy were
13 determined to be similar between the Mylan product
14 and U.S. Herceptin. Minor differences were
15 observed in the levels of some glycosylation
16 species. As I will elaborate in the next slide,
17 these differences in charge and glycosylation do
18 not preclude a conclusion that the two products are
19 highly similar.

20 To elaborate on the differences in
21 glycosylation, the figure on this slide shows a
22 chromatographic profile of all the glycans and U.S.

1 Herceptin in green, the Mylan product in red, and
2 EU in blue. The peaks in the chromatogram
3 represent the different glycan species separated by
4 this method. Orthogonal methods were also used to
5 identify and quantitate certain glycan species.

6 These data show that the Mylan product, U.S.
7 Herceptin, and EU Herceptin have the same
8 glycosylation sites, similar site occupancy, the
9 same glycan species, and similar levels of most
10 glycans.

11 Importantly, no new glycan species are seen
12 in the Mylan product. There are however, some
13 differences between the profiles of these products
14 due to minor differences in the amounts of some
15 glycan species, as indicated by the yellow
16 asterisk.

17 Examples of the glycan species that
18 correspond to these differences included
19 high-mannose species and sialic acid-containing
20 species, as shown in the graphs on the left. The
21 content of both of these species can impact the PK
22 of the molecule. For total mannose content, all

1 lots are within the U.S. Herceptin-based quality
2 criteria, depicted by the red line. However, the
3 Mylan product lots have an overall higher total
4 mannose content compared to most of the U.S.
5 Herceptin lots, as well as most of the EU Herceptin
6 lots.

7 For total sialic acid content, 31 percent of
8 the Mylan product lots were outside of the
9 U.S.-based quality criteria. However, the overall
10 sialic acid content was very low. The levels were
11 less than 0.12 moles of sialic acid per mole of
12 protein for all three products.

13 Because a lack of glycosylation in the Fc
14 region of the heavy chain of an antibody is
15 correlated with the loss of effector function, an
16 evaluation of the amount of antibody-lacking
17 glycosylation was conducted by the applicant, as
18 shown in the graph on the right.

19 The data show that while all lots of the
20 three products were within the U.S. Herceptin-based
21 quality range, the Mylan product lots have lower
22 amounts of non-glycosylated heavy chain compared to

1 U.S. Herceptin and EU Herceptin.

2 The overall impact of the differences in
3 glycosylation on functional activity was evaluated
4 by using the cell-based ADCC activity assay that
5 measures the amount of cell death after exposure to
6 the products and through Fc receptor binding
7 kinetics. As previously discussed, the levels of
8 ADCC activity and the binding kinetics were similar
9 among the Mylan product, U.S. Herceptin, and EU
10 Herceptin.

11 Furthermore, the minor differences shown in
12 sialic acid and high-mannose content were
13 adequately addressed by data showing no impact on
14 PK.

15 The other minor difference observed was in
16 the amounts of charge species among the three
17 products. The Mylan product lots were within the
18 quality range criteria with the exception of the
19 mean peak content of a single lot of the Mylan
20 product, which was higher than the U.S. Herceptin
21 quality range criteria.

22 Overall, the Mylan product lots generally

1 had lower levels of acidic species and higher
2 levels of mean peak compared to U.S. Herceptin and
3 EU Herceptin. These minor differences are shown by
4 the mean percentages of acidic, mean, and basic
5 species presented in the table on the slide. No
6 differences were noted in basic species content
7 among the three products.

8 The charge variant profile of an antibody
9 can impact biological activity, immunogenicity, and
10 PK. Therefore, to address these differences the
11 applicant conducted characterization studies that
12 revealed a correlation between the differences in
13 charge species with differences in the levels of
14 deamidation at the asparagine 30 residue on the
15 light chain of the antibodies.

16 Deamidation at the site, which is located in
17 the HER2 binding region of the antibody, was
18 present among all three products but at different
19 levels. The data showed that the levels of
20 deamidation are slightly higher in the U.S.
21 Herceptin and EU Herceptin lots compared to the
22 Mylan product, which may be related to different

1 Furmanski, the senior clinical pharmacology
2 reviewer for this application.

3 The clinical pharmacology program aims to
4 support a demonstration of no clinically meaningful
5 differences between the Mylan product and U.S.
6 Herceptin by evaluating the single-dose
7 pharmacokinetic similarity between the Mylan
8 product and U.S. Herceptin, and establishing the PK
9 portion of the scientific bridge between the Mylan
10 product, U.S. Herceptin, and EU Herceptin.

11 This slide outlines the clinical studies
12 completed by the applicant and reviewed by FDA. As
13 indicated in the red box, the applicant conducted
14 study MYL-HER-1002 to evaluate PK similarity
15 between the Mylan product, U.S. Herceptin, and EU
16 Herceptin.

17 Study 1002 was a randomized, three-arm,
18 parallel group study in healthy male subjects
19 following a single 8 mg per kilogram IV dose. The
20 PK similarity results of this study are summarized
21 in the next slide.

22 The figure on the left depicts the

1 concentration time profile for each product. The
2 X-axis represents time in hours post-dose, and the
3 Y-axis is the trastuzumab mean concentration in
4 microgram per mL. As you can see upon visual
5 inspection, all three concentration time profiles
6 appear to be virtually superimposable.

7 Statistical analysis is shown in the figure
8 on the right, which depicts the geometric mean
9 ratios for the test, versus reference product and
10 their corresponding 90 percent confidence intervals
11 for each pair-wise comparison. The X-axis is the
12 predefined similarity margin of 80 to 125 percent,
13 which is represented by the vertical dotted lines.
14 The Y-axis represents each pair-wise comparison.
15 The PK endpoints of AUC zero to infinity, AUC zero
16 to T, and C-max are represented by the triangle,
17 circle, and square respectively.

18 In the first pair-wise comparison,
19 highlighted in the blue box, for the Mylan product
20 versus U.S. Herceptin the geometric mean ratios and
21 the corresponding 90 percent intervals for all
22 three PK endpoints of AUC zero to infinity, AUC

1 zero to T, and C-max fall within the predefined
2 similarity margin of 80 to 125 percent.

3 Likewise, in the pair-wise comparison of the
4 Mylan product versus EU Herceptin, the geometric
5 mean ratio and their corresponding 90 percent
6 confidence intervals for all three PK endpoints of
7 AUC zero to infinity, AUC zero to T, and C-max fall
8 with the predefined similarity margin of 80 to
9 125 percent.

10 Lastly, in the pair-wise comparison of EU
11 Herceptin versus U.S. Herceptin the geometric mean
12 ratios and their 90 percent corresponding
13 confidence intervals for all three PK endpoints of
14 AUC zero to infinity, AUC zero to T, and C-max
15 again fall within the predefined similarity margin
16 of 80 to 125 percent. Based on the results from
17 1002, we conclude that PK similarity was
18 demonstrated.

19 In summary, results from study 1002
20 demonstrated PK similarity between the Mylan
21 product and U.S. Herceptin. Study 1002 also
22 established the PK portion of the scientific bridge

1 between the Mylan product, U.S. Herceptin, and EU
2 Herceptin, which justifies the relevance of the
3 comparative clinical data generated using EU
4 Herceptin.

5 In conclusion, the PK results support a
6 demonstration of no clinically meaningful
7 differences between the Mylan product and U.S.
8 Herceptin, and add to the totality of evidence to
9 support a demonstration of biosimilarity of the
10 Mylan product and U.S. Herceptin.

11 This concludes the clinical pharmacology
12 presentation. Dr. Gao will now present the
13 findings from the comparative clinical study 3001.

14 **FDA Presentation - Jennifer Gao**

15 DR. GAO: Good afternoon. My name is
16 Jennifer Gao, and I will present the clinical
17 efficacy and safety results.

18 The applicant conducted one comparative
19 clinical study to evaluate the efficacy and safety
20 of the Mylan product and EU Herceptin in patients
21 with untreated metastatic HER2-positive breast
22 cancer to support a demonstration of no clinically

1 meaningful differences between the Mylan product
2 and U.S. Herceptin.

3 This was a multicenter, randomized,
4 double-blinded, parallel group study in two parts.
5 In part 1; patients either received the Mylan
6 product or EU Herceptin with either docetaxel or
7 paclitaxel by physician choice. Patients with at
8 least stable disease after part 1 could continue in
9 part 2 with maintenance monotherapy every 3 weeks
10 until disease progression or death.

11 The intention to treat population consisted
12 of all patients who were randomized to first-line
13 treatment for metastatic HER2-positive breast
14 cancer. The safety population consisted of all
15 patients who received at least one dose of the
16 Mylan product or EU Herceptin.

17 In general, per the FDA Guidance for
18 Industry titled Scientific Considerations in
19 Demonstrating Biosimilarity to a Reference Product,
20 an additional comparative clinical study would be
21 needed to resolve any residual uncertainties and
22 further evaluate whether there are clinically

1 meaningful differences between the two products.
2 Margins are used to assess whether there are
3 clinically meaningful differences.

4 In addition, the equivalence study needs to
5 be feasible. Note that sample size is not based on
6 establishing efficacy of the proposed biosimilar
7 product.

8 In this equivalence study the risk ratio of
9 overall response rate or ORR was used to measure
10 treatment effect. For the applicant, equivalence
11 margin per ORR ratio was set as 0.81 to 1.24. The
12 margin was derived based on available data on the
13 reference product from three trials from the
14 literature.

15 Equivalence would be demonstrated provided
16 that the 90 percent confidence interval of the
17 observed response rate ratio falls in this
18 pre-specified margin interval. The corresponding
19 absolute difference in ORR is negative 13 to
20 17 percent, assuming the reference product response
21 rate of 69 percent.

22 Shown in this slide are results for the

1 primary endpoint of ORR assessed by central review.
2 As you can see here, the 90 percent confidence
3 intervals of the response rate ratio in the
4 intention to treat population is 0.98 to 1.22,
5 which is within the pre-specified equivalence
6 margin of 0.81 to 1.24. The differences of ORR
7 between the two arms are also shown. Overall, the
8 results show that ORR is similar between the two
9 arms.

10 This is a high level overview of the safety
11 analysis during parts 1 and 2 of the study. There
12 are no meaningful differences in treatment emergent
13 adverse events between the two arms.

14 Cardiac toxicities, infusion reactions, and
15 pulmonary toxicities occurred in both arms with no
16 meaningful differences and at rates consistent with
17 the prescribing information for the approved drug.

18 Immunogenicity was reviewed, and found to be
19 similar between the two arms.

20 Overall, there were no meaningful safety
21 differences between the Mylan product and EU
22 Herceptin, which supports a demonstration of no

1 clinically meaningful differences between the Mylan
2 product and U.S. Herceptin.

3 The applicant is seeking indications that
4 are the same as U.S. Herceptin. The clinical
5 studies conducted by the applicant were in patients
6 with metastatic breast cancer, so extrapolation
7 must be used for other indications. Please note
8 Herceptin's indication for treatment in gastric
9 cancer is protected by orphan drug exclusivity
10 expiring October 20, 2017.

11 In support of extrapolation to other
12 indications, the agency notes that the mechanism of
13 action of trastuzumab is the same across all
14 indications. The applicant has demonstrated a
15 similarity of the product with respect to
16 analytical attributes, pharmacokinetic,
17 immunogenicity, efficacy, and safety. Therefore,
18 the agency considers extrapolation across all
19 indications to be scientifically justified.

20 **FDA Presentation - Jennifer Gao**

21 DR. GAO: I will now review the overall
22 summary of the FDA findings. This provides a

1 reminder of the description of biosimilarity, which
2 includes 2 components. To be a biosimilar a
3 product must be highly similar to the reference
4 product, notwithstanding minor differences in
5 clinically inactive components, and the product
6 must have no clinically meaningful differences in
7 terms of safety, purity, and potency from the
8 reference product.

9 The FDA finds that the totality of the
10 analytical data supports a demonstration of the two
11 products as highly similar, notwithstanding minor
12 differences in clinically inactive components.

13 The clinical data, which includes
14 pharmacokinetics, efficacy, safety, and
15 immunogenicity, supports the finding of no
16 clinically meaningful differences between the two
17 products.

18 In conclusion, the applicant has established
19 an adequate scientific bridge between EU Herceptin,
20 U.S. Herceptin, and the Mylan product. The
21 totality of the evidence supports biosimilarity of
22 the Mylan product and U.S. Herceptin.

1 Extrapolation to all indications of use for U.S.
2 Herceptin is supported by the understanding of the
3 mechanism of action across indications and
4 demonstration of biosimilarity.

5 Please discuss the following, whether
6 evidence supports a demonstration that the Mylan
7 product is highly similar to U.S. Herceptin,
8 notwithstanding minor differences in clinically
9 inactive components; whether the evidence supports
10 a demonstration that there are no clinically
11 meaningful differences between the Mylan product
12 and U.S. Herceptin in the study condition of use;
13 and whether there is adequate scientific
14 justification to support licensure for all of the
15 proposed indications.

16 We ask the committee to vote on the
17 following question: Does the totality of the
18 evidence support licensure of the Mylan product as
19 a biosimilar product to U.S. Herceptin for the
20 following indications for which U.S. Herceptin is
21 licensed, and for which Mylan is eligible for
22 licensure, namely HER2-positive breast cancer in

1 the adjuvant and metastatic settings?

2 Thank you.

3 **Clarifying Questions to Presenters**

4 DR. ROTH: Thank you, Dr. Gao.

5 We'll move on to clarifying questions, both
6 for the agency and for the applicant. If you have
7 a question or comment, if you could let Jay know,
8 I'll write your name down and we'll try to take
9 those in order.

10 Maybe I could start things off here for any
11 of my breast cancer colleagues either Dr. Seidman,
12 or Dr. Rugo, or Dr. Gradishar. In terms of the
13 cardiac dysfunction from the reference compound,
14 what we know and can apply to this, is a single
15 peak at the data at 48 weeks sufficient, or do we
16 have to worry about the patients who are getting
17 another year of maintenance therapy? Should we be
18 worried about something that might be looming
19 beyond the 48 week time point?

20 DR. ANNWEILER: Dr. Rugo, please come to the
21 podium.

22 DR. RUGO: Hope Rugo, again, from UCSF.

1 That's a great question, and actually one that we
2 looked at quite a lot in the early development of
3 trastuzumab. Indeed the cardiac toxicity from
4 trastuzumab is an early event almost without
5 exception. We all have a single patient who
6 develops something at 4 months, but after that
7 period of time we really don't see a late cardiac
8 toxicity.

9 In fact some of the trials that have looked
10 at agents after trastuzumab have been criticized
11 because you already selected out the group of
12 people who don't have cardiac toxicity. It's
13 related to, of course, many different factors,
14 including prior exposure to anthracyclines.

15 DR. ROTH: Okay. Thank you. Dr. Armstrong?

16 DR. ARMSTRONG: Hope, why don't you just
17 stay there. I think with regards to the use of
18 this agent, the elephant in the room is pertuzumab.
19 You've requested this for metastatic breast cancer,
20 and when trastuzumab is used in the setting in
21 metastatic breast cancer, at least in the United
22 States, it's almost always used with pertuzumab.

1 You didn't request the neoadjuvant setting,
2 but our neoadjuvant therapies are usually
3 extrapolated from our adjuvant therapies, and
4 pertuzumab is approved with Herceptin in the
5 neoadjuvant setting. And that's frequently
6 continued, although it's not approved, in those
7 patients after their surgery. With publication of
8 the APHINITY study, I don't know if it's going to
9 be presented for use.

10 The whole issue becomes the settings in
11 which you're proposing to use this drug as a single
12 agent, a big percentage of those, there are
13 actually going to be use, or people are going to be
14 inclined to use it with pertuzumab.

15 My question is do you have any studies to
16 look at this in combination with pertuzumab? This
17 now gets pretty technical with regard to binding
18 sites and potentially very minor changes in the
19 structure of this antibody compared to the parent,
20 Herceptin, and the binding and the efficacy in
21 combination with pertuzumab.

22 DR. RUGO: It is a great question, and I'll

1 just answer the clinical part of it and then turn
2 it over to my Mylan colleagues, but -- and indeed
3 the elephant in the room because I think the role
4 of pertuzumab, both in the adjuvant setting for
5 lower risk patients and in the metastatic setting
6 in patients who recur on adjuvant trastuzumab or
7 within a year, we don't really understand.

8 Indeed much of our use of trastuzumab in the
9 metastatic setting is after first-line therapy
10 where we don't use pertuzumab currently.

11 Trastuzumab and pertuzumab given together have
12 shown no interactions, pertuzumab by itself has its
13 own set of toxicities that are maybe enhanced by
14 certain chemotherapeutic agents. But, indeed the
15 combination of those two antibodies had no
16 difference in toxicity, no increase in cardiac
17 toxicity, no increase in things that are generally
18 seen with the addition of trastuzumab like
19 neutropenia just because of longer exposure.

20 I, myself, as a clinician, have absolutely
21 no concern about the combination of using a
22 biosimilar trastuzumab with pertuzumab. That said,

1 I think there are many settings where we will be
2 giving trastuzumab by itself. That's after
3 progression where we use it in the United States;
4 usually patients receive it until death, unlike the
5 rest of the world where there's limited access.

6 In the neoadjuvant setting, we've seen
7 improved PCR rates, but in trials that didn't
8 include anthracyclines. I think we're still really
9 trying to figure out where we need to be using
10 pertuzumab or not in early stage breast cancer. As
11 you know the APHINITY data showed a modest benefit
12 in patients with the highest risk cancers, and
13 essentially no benefit in low risk cancers which
14 allows us, in fact, to give trastuzumab without the
15 worry of pertuzumab.

16 Then lastly; the very first comment, which
17 was that continuing pertuzumab after the
18 neoadjuvant setting, in fact, I think is not
19 commonly done. I think it's very much dependent of
20 geographic area; for example, in California we
21 never continue pertuzumab. We would not have
22 approval for it based on the FDA indication.

1 DR. ARMSTRONG: Part of question was
2 actually, not so much about the toxicity because
3 you're right, but the issue about the blocking the
4 binding site.

5 DR. ANNWEILER: Let me comment on that, I
6 wanted to add on that comment.

7 In respect to the biosimilar concept, so
8 Herceptin was shown to work in combination with
9 pertuzumab and our data have shown analytical
10 similarity with only really very minor differences
11 and very minor species of the glycol pattern with
12 no differences at all with respect to HER2 binding.

13 As there's no drug interaction shown for
14 Herceptin, based on the extrapolation concept, we
15 would also not expect any differences as we've also
16 seen in our functional studies.

17 DR. ARMSTRONG: But you haven't looked at
18 that specifically?

19 DR. ANNWEILER: No, we have not looked at
20 this specifically.

21 DR. ROTH: Ms. Preusse?

22 MS. PREUSSE: Hi. Courtney Preusse, Fred

1 Hutchinson, consumer rep.

2 I have a number of questions. I will start
3 with what is, I believe, somewhat a continuation of
4 Dr. Armstrong's question regarding the kinetics of
5 the binding to the HER2 kinase. My understanding
6 of the functional assays, as presented by both the
7 sponsor and the FDA, is that there was really much
8 more of a narrow spread of the potency of this new
9 drug as compared to Herceptin in both the U.S. and
10 the EU. Perhaps I'm not reading this correctly or
11 perhaps it's just a much more limited data set with
12 this new proposed drug, but I'm hoping that you can
13 speak to that and clarify the limited spread.

14 DR. ANNWEILER: Is your question that the
15 response in the functional test was tight and you
16 don't see much spread?

17 Well the lots we have sampled from the
18 innovator reference product, it's been about 6
19 years, whereas our own lots, it's been about 4
20 years. There could well be a time-related
21 difference where you see some more limited spread
22 across somewhat younger batches from our product

1 versus the innovator.

2 Otherwise, they were run mainly side-by-side
3 at the same sensitive assay, so other than that
4 inherent variability that we see as an outcome of
5 products being produced in biological cells, we
6 have no other explanation for that wider
7 variability.

8 MS. PREUSSE: Sorry, I suppose my question
9 is more for Dr. Rugo, because it -- and more
10 centered around the clinical effects, if any, that
11 you might extrapolate from this data. As Dr. Rugo
12 and the other breast oncologist in the room can
13 speak to much better than me, data has come out to
14 show that early stage HER2-positive breast cancers
15 are recurring much more frequently than non-HER2
16 expressing. And so, it just gives me a little bit
17 of pause to see that where there's relative potency
18 perhaps there's less of an effect, but maybe I'm
19 reading too much into it.

20 DR. ANNWEILER: Dr. Rugo, would you like to
21 take the question?

22 DR. RUGO: Just so I clarify the question;

1 you're worried about the potency of the drug based
2 on those preclinical or PK assessments, et cetera
3 and potency questions?

4 MS. PREUSSE: Right.

5 DR. RUGO: I think that those -- you know
6 it's an interesting thing, as a clinician being
7 part of this development and learning about
8 biosimilars because we really don't think about
9 this when we are using a new drug in the clinic.

10 Now we have to sort of re-think how we
11 evaluate those agents, but if you show already that
12 in a very sensitive indication that the drug is
13 similar and you understand the variations between
14 different lots, different productions, and
15 different sites of production within the reference
16 compound it makes you realize that those small
17 those small differences that you see on those
18 graphs are meaningless clinically.

19 Indeed trastuzumab has had a huge impact on
20 outcome for HER2-positive early stage breast cancer
21 changing it from the worst outcome to potentially
22 the best outcome disease that we see in some -- in

1 many cases, not all.

2 I don't have any concerns because we've seen
3 the same clinical activity in metastatic disease
4 where in some ways it's a higher bar because it has
5 to keep working.

6 MS. PREUSSE: Okay.

7 DR. RUGO: They still have a lot of disease,
8 right, so it has to keep working. We've seen that,
9 in fact, even though trastuzumab lots have changed
10 over time and there's some sort of play in all of
11 those graphs, that trastuzumab remains highly
12 active, so it didn't really concern me as a
13 clinician.

14 MS. PREUSSE: So there's nothing here to
15 indicate that the higher recurrence in
16 HER2-positive early stage breast cancer has
17 anything to do with the binding mechanisms,
18 especially here with a new drug or with the potency
19 of the drug as it binds to the tyrosine kinase?

20 DR. RUGO: We didn't see because we studied
21 patients who had chemotherapy naive metastatic
22 breast cancer, so we don't have data suggesting

1 increased recurrence in HER2-positive early stage
2 breast cancer. I'm not sure where that connection
3 is coming from.

4 We really looked at patients who had
5 largely -- actually almost identical to the
6 CLEOPATRA population, 90 percent had never seen
7 trastuzumab, they had chemotherapy naïve in the
8 metastatic setting metastatic breast cancer, and
9 trastuzumab naïve in 90 percent. So there isn't
10 any data to suggest an early stage differential
11 benefit or any actually because we're not
12 presenting early stage data, but in the metastatic
13 setting the response was maintained after
14 chemotherapy, which is nice people stayed
15 controlled. We have 48 week data, so they were off
16 chemotherapy and that suggests similar potency.

17 MS. PREUSSE: There was some preliminary
18 data at San Antonio, but I'll sidebar that; we
19 could always talk after.

20 Lastly, could you speak to whether there
21 were any differences between male and female?

22 DR. RUGO: The patients enrolled in this

1 trial were female, and as you know breast cancer in
2 males is extremely uncommon and largely
3 ER-positive, so in fact I don't know that I have
4 treated a man and I treat a lot of breast cancer
5 with HER2-positive breast cancer.

6 DR. ANNWEILER: Thank you, Dr. Rugo. Maybe
7 to add, our phase 1 three-way PK bridging study was
8 in male volunteers, and we didn't see any
9 difference in PK in exposure.

10 DR. ROTH: Dr. Uldrick?

11 DR. ULDRICK: Hi, yes thanks. I was
12 reassured by the results from the routine cardiac
13 monitoring and that it was equal between arms and
14 reversible. One event that did seem to stand out
15 in the Mylan arm was cardiac failure, which was
16 presumably clinical events, 2.4 percent versus 4,
17 in evaluating the safety. I was wondering if the
18 sponsor could provide some additional details
19 around the etiology of the cardiac failure and
20 whether there were risk factors, such as chest wall
21 radiation or prior anthracycline use that
22 potentially contributed to this finding.

1 DR. ANNWEILER: For this question I would
2 like to invite Dr. Barve to the podium.

3 DR. BARVE: Abhijit Barve, Mylan
4 clinical -- can we pull the slide on the overall
5 cardiac adverse events please.

6 Your observation is accurate, while the
7 slide had been pulled up, there were 6 events of
8 cardiac failure. These were investigator assessed
9 events, so there is a granularity that is
10 associated with how the preferred terms are
11 captured and -- yes, slide up please.

12 What we did was that we actually looked at a
13 modified standardized MedDRA query, so this kind of
14 combines all the terms that could potentially
15 relate to cardiomyopathy or cardiac failure. If
16 you look at it; 6 and 1 for cardiac failure is, is
17 correct, but when you combine that with left
18 ventricular dysfunction or metabolic cardiomyopathy
19 or congestive cardiomyopathy, all of them are known
20 toxicities with trastuzumab, the numbers become 12
21 in our arm, and 10 in the Herceptin arm, which is
22 4.9 and 4.1 percent. When you compare that to the

1 historical data from the CLEOPATRA study, it was
2 8.3 percent.

3 You're correct in that 6 of the 12 patients
4 in our arm received anthracyclines versus 6 of the
5 10 patients in the Herceptin arm received
6 anthracyclines, and one subject each received chest
7 radiation in both arms.

8 If you go to the next slide, please, we also
9 evaluated it in a much more systematic manner to
10 look at -- because these were investigator assessed
11 events, so we looked at it and said, how does this
12 correlate from a left ventricular ejection fraction
13 measurements, and looked at it from a CTCAE
14 perspective. As you can see here the grade 3,
15 which is left ventricular ejection fraction between
16 20 and 39 percent and a drop off greater than
17 20 percent, there are 2 subjects in our arms and 4
18 in the Herceptin arm and grade 2 it is 13 and 11.

19 If you look at the data in a very more
20 objective and a systematic manner it looks very
21 similar, as well as when you look at the modifiers.
22 Thank you.

1 DR. ULDRICK: Thanks, that's very helpful.

2 DR. ROTH: Dr. Chow.

3 DR. CHOW: Basically, I have a couple
4 questions. The first question is related to the
5 analytical similarity assessment. It seems to me,
6 not all of the lots were used for the analytical
7 similarity assessment for the identified CQAs. I
8 was wondering whether the sponsor can talk a little
9 bit about how those lots were selected in order to
10 address the potential selection bias for the
11 analytical similarity assessment.

12 DR. ANNWEILER: Yes. Dr. Vallano will take
13 this question.

14 DR. VALLANO: Pat Vallano, Mylan Scientific
15 Affairs. Your question involved the selection of
16 lots for the analytical similarity assessment.
17 Yes, it is true that not all of the lots were
18 included in each of the analytical tests. There
19 were several different factors that drove the
20 conclusion of lots for a particular test.

21 One was the analytical method itself, the
22 type of method, and whether there were orthogonal

1 methods available for that particular attribute.
2 Also the analytical method variability drove the
3 lot selection.

4 Another factor was the availability of
5 unexpired lots at the time that the test method was
6 available, and then primarily for the functional
7 assays the availability of the same reference
8 standard used across analyses. There was no bias
9 selection of lots across the testing regimen.

10 DR. CHOW: Thank you. The second question
11 is regarding the PK study. Basically, I think, if
12 I understand correctly, pair-wise comparison was
13 conduct in order to establish results of scientific
14 bridging between the EU and U.S. and also the Mylan
15 product.

16 Then I was wondering, I think that instead
17 of using the pair-wise comparison, because we did
18 not really adjust for the 4-year multiple
19 comparison, that's one thing.

20 Also the other thing is that for these
21 pair-wise comparisons, actually we have 3
22 comparisons. Two comparisons; for example the

1 Mylan product versus the U.S. and the EU versus
2 U.S., those two comparisons were actually used in a
3 U.S. product as a reference, but the other
4 comparison, which is the Mylan product versus the
5 EU that we used a different reference product.

6 Instead of a pair-wise comparison, I was
7 wondering why not consider the so-called
8 simultaneous confidence interval approach? In
9 other words, you can come with a simultaneous
10 confidence interval approach, which would take all
11 of three product data into consideration and come
12 up with a more reasonable statistical approach in
13 order to establish some kind of bridging among the
14 three products.

15 DR. ANNWEILER: Dr. Barve, would you take
16 the question, please.

17 DR. BARVE: I think that's an excellent
18 question, but I think the regulated requirement
19 does in terms of what the regulators look. They
20 typically would like to look at pair-wise
21 comparisons and that is how we did it.

22 The study was designed to look at multiple

1 comparisons when we powered the study, and it was
2 powered adequately for doing that comparison.

3 DR. ROTH: Anybody from the agency want to
4 comment? Because we see this over and over again,
5 we see triple pair-wise comparisons and we're
6 getting used to it. The question is, should we?

7 DR. SHEN: I think the multiple comparison
8 power, the comparison is okay. We ask for all
9 three pair-wise comparisons have to pass in order
10 to pass the scientific bridging -- two of the
11 comparisons passed the bridging's established, and
12 we asked all. So my understanding is there's no
13 multiple justification.

14 DR. ROTH: Dr. Karara?

15 DR. KARARA: Yes, clarifying question for
16 the sponsor. In reading the briefing document on
17 page 76, you did pharmacokinetic analysis on
18 samples from the clinical study, the HERITAGE
19 study, and the statement there you estimated the
20 statement says about drug clearance was not
21 different between the MYL-14010 and Herceptin.

22 How different or how close were they at the

1 estimates of the direct clearance in the HERITAGE
2 study?

3 DR. BARVE: Abhijit Barve, clinical. So we
4 actually -- slide up please, can you get the
5 exposure slide, please? Show the data -- the next
6 slide.

7 We conducted a plot PK of where a subgroup
8 of patients actually had more extensive sampling,
9 whoever agreed to participate; we had about 46
10 subjects in our arm and 37 in the Herceptin arm who
11 participated. In addition, we had all the subjects
12 who had PK that was assessed prior to taking their
13 cycles in cycles 2, 4, 6, 8, and 9, which I showed
14 you in my presentation. In addition, there were
15 additional times points that were taken. Slide up
16 please.

17 This is the data, which is looking at the PK
18 exposure summary estimates based on the Bayesian
19 model at cycle 6. As you can see here the
20 clearance is very similar between both the arms.
21 The dose that was given was also very identical,
22 and we also looked at dose normalized AUC and C-max

1 as part of that plot PK exercise.

2 DR. KARARA: Thank you.

3 DR. ROTH: Dr. Seidman.

4 DR. SEIDMAN: Thank you. First, I just want
5 to thank both FDA and Mylan presenters for being
6 very, very clear.

7 My question, without questioning the virtues
8 of extrapolation, has to do with the choice of
9 primary endpoint for response rate at 24 weeks, and
10 the extrapolation of that to the role of this drug
11 in the adjuvant setting, specifically for
12 metastatic breast cancer.

13 We recognize that patients on this trial
14 received both taxane and trastuzumab for those
15 first 24 weeks, and both the taxane component and
16 the antibody contribute to that response rate at 24
17 weeks. Some would argue that the taxane is more of
18 the heavyweight if you compare monotherapy
19 activities.

20 The sponsor, on page 89 of the briefing,
21 showed a very good correlation coefficient between
22 response rate and progression-free survival in

1 metastatic breast cancer, it was about 0.9.

2 I was wondering if anyone might be able to
3 comment on that relationship between response rate
4 and outcomes in the adjuvant setting.

5 DR. ANNWEILER: Dr. Barve, please.

6 DR. BARVE: Dr. Seidman thank you for the
7 question. What I will show you is the correlation
8 between the ORR and PFS in metastatic breast
9 cancer, and then I will have Dr. Rugo talk about
10 how we can really use that data to take it to that
11 next level.

12 Can we get a slide on ORR versus PFS? Slide
13 up please.

14 This is what is available in the literature,
15 as it relates to correlation of the ORR versus PFS
16 from the literature, a P-value of 0.96. This is a
17 paper where it was not HER2-positive metastatic
18 breast cancer, this was a generalized metastatic
19 breast cancer, but if you go to the next slide
20 please. Slide up please.

21 This is what we did as part of the analysis
22 for our study, where we really looked at 5

1 different studies. Looked at the time to
2 progression, which is there on the X-axis and on
3 the Y-axis we have got the overall response rate in
4 terms of percentages.

5 What you can see here is a very strong
6 correlation in terms of the R-squared value. So
7 clearly there is a very good correlation at least
8 in HER2-positive metastatic breast cancer between
9 an ORR and PFS. The applicability in terms of ORR
10 and PFS is relevant, but I'd like Dr. Rugo to talk
11 about how this can apply.

12 DR. RUGO: HER2-positive disease, I think,
13 is quite unique in this way. I understand your
14 question completely because you're giving the
15 primary endpoint at overall response rate is
16 looking at the combination of a taxane and the
17 trastuzumab biosimilar or Herceptin, but the
18 response rates are very similar, so we'll agree on
19 that.

20 If you look at that correlation between
21 response and progression-free survival in
22 HER2-positive disease, it's actually tighter than

1 it is potentially for other subpopulations like
2 ER-positive indolent cancer where we have a harder
3 time with response.

4 That's actually quite nice. You know the
5 PFS is going to be similar, and we showed the 48
6 PFS is similar. That involves 24 weeks on antibody
7 therapy alone. That suggests that, first in the
8 adjuvant setting, our approval and the way we give
9 drug is in a very similar way. The chemotherapy is
10 the heavy hitter. We add the trastuzumab to
11 improve response, and that has resulted in improved
12 disease-free survival and overall survival
13 certainly in the adjuvant setting and we've seen
14 that response in the neoadjuvant setting.

15 And you get the exposure to drug, which we
16 already know is effective from the HERITAGE trial,
17 otherwise people would have relapsed very quickly.

18 To me that extrapolation seems very
19 comfortable and justified by the data and the
20 inference from all of the studies we've done in
21 HER2-positive disease.

22 DR. SEIDMAN: This may be for a

1 statistician, but will reflect my ignorance, but if
2 the correlation coefficient between response rate
3 and PFS in metastatic breast cancer is 0.9, and
4 then if you actually had the data, and no one has
5 shown me the data, of what the correlation between
6 PFS and metastatic breast cancer and relapse-free
7 survival in the adjuvant setting is -- and let's
8 say that were 0.8, would the relationship
9 therefore, between overall response rate in
10 metastatic breast cancer and relapse-free survival
11 in the adjuvant setting be 0.9 times 0.8 or 0.7?

12 I'm wondering how robust the overall
13 response rate is for that endpoint --

14 DR. RUGO: You know we can't answer that
15 question --

16 DR. SEIDMAN: -- and I'm as supportive of
17 extrapolation as anybody in the room.

18 DR. AMIRI-KORDESTANI: Can I actually add a
19 comment here that basically, we're not
20 extrapolating between the indications in that way
21 because you certainly cannot extrapolate that the
22 ORR in the breast cancer actually relates to

1 metastatic gastric cancer at all.

2 Basically, you should look at the totality
3 of the evidence, that the biosimilarity and also
4 the mechanism of action is similar and looks at
5 that evidence to support that extrapolation.

6 DR. SEIDMAN: I agree, and I understand
7 entirely about extrapolation going beyond breast
8 cancer to other tumor types. We also the
9 difference between the adjuvant setting and the
10 metastatic setting, and the goals are different and
11 the duration on monotherapy with the antibody is
12 different perhaps as well.

13 I just draw attention to that as a
14 methodological issue.

15 DR. ROTH: Ms. Chauhan?

16 MS. CHAUHAN: Thank you. My question is
17 about cardiotoxicity for the sponsor. I noticed
18 that you define it as reduced ejection fraction.
19 In fact, more than 50 percent of the people who
20 have heart failure have preserved ejection
21 fraction. How have you eliminated this group from
22 your consideration for relevant cardiotoxicity?

1 DR. ANNWEILER: Dr. Barve.

2 DR. BARVE: We looked at ejection fraction
3 more as an -- I could say that's a slightly
4 different way of looking at it in terms of a
5 diastolic dysfunction, which could happen in a few
6 patients. But, the majority of them, the first
7 thing as it relates to how -- the prescribing
8 information indicates in terms of evaluating left
9 ventricular ejection fraction every 12 weeks, and
10 that's what we did as part of this study. To look
11 at subtle differences, if there is anything really
12 impacting cardiac function, and that's how we
13 approached it.

14 MS. CHAUHAN: [Inaudible - off mic]. So you
15 just separated it out?

16 DR. BARVE: Yes. We just looked at it, it
17 terms of left ventricular ejection fraction, as
18 well as the events and we thoroughly evaluated all
19 the events that happened in these patients.

20 DR. ROTH: Dr. Mager?

21 DR. MAGER: I just wanted to follow-up
22 quickly on Dr. Karara's question. The slide that

1 went up that showed the population analysis, it
2 indicated Bayesian parameters. I just wanted to
3 confirm then, this was a stand-alone population
4 analysis and those are post-hoc Bayesian estimates?
5 Or did you have a prior population model and then
6 use a map Bayesian approach to calculate individual
7 parameters?

8 DR. ANNWEILER: Dr. Barve.

9 DR. BARVE: The methodology that we used is
10 really to first build a model using the data that
11 is available, and then look at what are the
12 different attributes that could potentially have an
13 impact on these. Then we bootstrapped the model,
14 for goodness of faith, to really come up with the
15 right model, and then evaluated the data based on
16 the available information.

17 DR. MAGER: So it was a stand-alone model
18 built on the data from that trial then?

19 DR. BARVE: Yes.

20 DR. MAGER: Okay. Thank you.

21 DR. ROTH: Dr. Moreira?

22 DR. MOREIRA: Thank you. Just a quick

1 clarification from the sponsor on the two PK
2 studies with the healthy male volunteers, I think I
3 heard that they were with different formulations?
4 I was just trying to find out if that's correct,
5 and then, why so? And if going forward, if you're
6 planning on using different formulations or settle
7 on one or --

8 DR. ANNWEILER: So the pivotal PK three-way
9 bridging study was performed with a to be
10 commercialized formulation, which differs in two
11 very conservative ways from Herceptin itself, and
12 that was also the formulation that was tested in
13 the metastatic breast cancer study, it was included
14 in the three-way bridging study, and will be the
15 commercial formulation.

16 The supportive PK study was performed with a
17 former formulation that had the same formulation as
18 Herceptin, but this will not be carried forward in
19 development.

20 DR. MOREIRA: Okay, thank you.

21 DR. ROTH: Dr. Gordon.

22 DR. GORDON: So I'd like to echo the

1 comments that I think both the sponsor and the FDA
2 have done a nice job with the presentation, but I
3 have a clarifying question around the amount of
4 exposure to both chemotherapy and antibody in the
5 HERITAGE study. I take it, it was roughly the same
6 across both arms?

7 DR. ANNWEILER: Yes, it was roughly the
8 same.

9 DR. GORDON: Okay, great.

10 DR: ROTH: Any other comments or questions?
11 Okay, we're going to take a break. I have that
12 it's 3:00. We'll resume at 3:20 with the OPH.
13 Panel members please remember there should be no
14 discussion of the meeting topic during the break
15 amongst yourselves or with any member of the
16 audience. We'll resume at 3:20, thank you.

17 (Whereupon, at 3:00 p.m., a recess was
18 taken.)

19 **Open Public Hearing**

20 DR. ROTH: Let's go ahead and resume, and
21 we'll proceed with the open public hearing portion
22 of our afternoon.

1 Both the Food and Drug Administration and
2 the public believe in a transparent process for
3 information gathering and decision making. To
4 ensure such transparency at the open public hearing
5 session at the advisory committee meeting, the FDA
6 believes it's important to understand the context
7 of an individual's presentation.

8 For this reason, the FDA encourages you, the
9 open public hearing speaker, at the beginning of
10 your written or oral statement to advise the
11 committee of any financial relationship that you
12 may have with the sponsor, its product, and if
13 known its direct competitors. For example, this
14 financial information may include the sponsor's
15 payment of your travel, lodging, or other expenses
16 in connection with your attendance at the meeting.
17 Likewise, FDA encourages you at the beginning of
18 your statement to advise the committee if you do
19 not have any such financial relationships.

20 If you choose not to address the issue of
21 financial relationships at the beginning of your
22 statement it will not preclude you from speaking.

1 The FDA and this committee place great
2 importance in the open public hearing process. The
3 insights and comments provided can help the agency
4 and this committee in their consideration of the
5 issues before them.

6 That said, in many instances and for many
7 topics there will be a variety of opinions. One of
8 our goals today is for this open public hearing to
9 be conducted in a fair and open way, where every
10 participant is listened to carefully and treated
11 with dignity, courtesy, and respect. Therefore,
12 please speak only when recognized by the
13 chairperson. Thank you for your cooperation.

14 Will speaker number 1 please step up to the
15 podium, introduce yourself, state your name and the
16 organization that you're representing?

17 MS. CRAMER: My name is Angie Cramer. I'm
18 from Johns Hopkins Breast Center. I'm a certified
19 oncology nurse navigator working with breast cancer
20 patients. I do not have any financial interest.

21 I'm also a seven-year breast cancer
22 survivor, my grandmother and mother both died of

1 metastatic breast cancer. My sister and aunt are
2 also breast cancer survivors, and between us my
3 sister and I have 5 daughters and 7 granddaughters,
4 so my presence here is not just professional but
5 personal.

6 My mother had metastatic breast cancer for
7 nine and one-half years. Thankfully, she had
8 adequate healthcare coverage. If she'd had to pay
9 out of pocket for her medications she would not
10 have lived with the disease for 9 and one-half
11 years. In my professional experience, many of my
12 uninsured or underinsured breast cancer patients
13 will choose food on the table over paying for their
14 medication.

15 As an oncology nurse navigator, I have
16 access to some resources to help these patients,
17 but those agencies have limited funds and often can
18 only help temporarily.

19 Biosimilars are already available in Europe,
20 what is the point of having products available if
21 patients cannot get access to them here in the
22 United States? We need to get biosimilars on the

1 market as soon as the brand patent expires.

2 Having competition will drive medication
3 prices down, brand companies will have to reduce
4 their prices with the introduction of biosimilars.
5 This will enable our patients to have the best of
6 both worlds; brand, drug prices decrease, and the
7 option exists for use of biosimilars by choice.

8 According to an article by Anders Johnson et
9 al, potentially one-third of all breast cancers are
10 diagnosed among premenopausal women. The Young
11 Survival Coalition reports that more than 250,000
12 woman living in the United States today were
13 diagnosed with breast cancer under the age of 40.

14 Breast cancer in younger women is usually
15 more aggressive and can be a life-long condition,
16 which requires close following. Additional
17 diagnostic testing is costly, and the risk for
18 recurrence is higher in younger women.

19 It's important to have biosimilars on the
20 market as soon as the brand patent expires, so that
21 brand medication costs are driven down, and
22 biosimilars are an option for these women who may

1 be paying additional testing for much longer than
2 women diagnosed at a later age.

3 The Young Survival Coalition also reports
4 that African American women under the age of 35
5 have breast cancer rates 2 times higher than
6 Caucasian women, and die from breast cancer 3 times
7 as often as Caucasian women of the same age.
8 Having biosimilars available to all patients,
9 especially those that have disparities in care,
10 could lessen the gap amongst different
11 socioeconomic groups.

12 In conclusion, biosimilars provide the same
13 therapeutic value as brand name medications at a
14 much lower cost. Having biosimilar medications
15 available to those patients in the United States
16 will drive the cost of brand drugs down, and
17 thereby reduced financial toxicity for our cancer
18 patients who may have to decide whether to pay
19 their bills or receive life-saving and
20 life-extending therapies. Thank you.

21 DR. ROTH: Thank you. Speaker number 2,
22 please come to the podium. State your name and the

1 organization that you represent.

2 MS. SIMMON: My name is Christine Simmon,
3 and I'm the executive director of the Biosimilars
4 Council. I have no disclosures to make. The
5 Council is the division of the Association for
6 Accessible Medicines; members include those working
7 to develop biosimilars for the U.S. market.

8 Biologic medicines are often the only
9 lifesaving treatments available to patients, but as
10 we just head first-hand from a nurse on the front
11 lines, the high cost of these medicines can create
12 significant barriers to access. We believe
13 biosimilar competition is critical to ensure in
14 patient access to treatments.

15 Education is a core component of the
16 council's mission. We strongly believe the success
17 of the biosimilars market will rely on
18 scientifically sound education to build patient and
19 provider confidence in these products.

20 For that reason, we appreciate the agency's
21 rigorous review of biosimilar applications. We
22 believe the FDA approval of a biosimilar should

1 function as a clear and unequivocal statement to
2 patients, providers, and payers that, that
3 biosimilar is as safe and efficacious as its
4 reference product.

5 As such, we strongly encourage the agency to
6 be wary of messaging regarding so-called
7 non-medical switching, which has been used by some
8 to sow doubt within the patient and provider
9 communities.

10 We are concerned the focus around switching
11 has been deliberately used to create uncertainty.
12 These messages are in direct contradiction with the
13 standards established by statute and enforced by
14 this agency.

15 Differentiation between biosimilars and
16 their reference products risks undermining the,
17 important and much needed, patient and provider
18 education already being done by FDA. It directly
19 contradicts the medical evidence from Europe and
20 other advanced countries that have much more
21 experience with biosimilars and have seen no
22 measureable clinical differences between those and

1 their reference products.

2 We want to thank the agency for the
3 important draft guidance providing helpful clarity
4 for manufacturers seeking an interchangeability
5 designation for biosimilars. We appreciate that
6 the totality of the evidence standard used in
7 previous review was maintained, and we support
8 extrapolation.

9 While we believe the guidance should go
10 further by allowing biosimilar developers to use
11 foreign-sourced reference product during
12 development, it overall is a positive step forward.

13 In conclusion, the council commends the FDA
14 on its continued success and implementation of the
15 biosimilars pathway, and we thank you for the
16 opportunity to comment.

17 DR. ROTH: Thank you. Speaker number 3, if
18 you would approach the podium, state your name and
19 your organization.

20 MS. GREENBERG: Good afternoon. My name is
21 Sally Greenberg. I am executive director of the
22 National Consumers League. We appreciate the

1 opportunity to testify today in support of
2 biosimilars.

3 Since our founding in 1899, the National
4 Consumers League has been concerned ensuring
5 safety, effectiveness, access, and appropriate use
6 of both prescription and over-the-counter drugs and
7 medication adherence is a specialty area of ours.

8 We have helped to advance our Medication
9 Adherence Program through our Script Your Future
10 Campaign. So in addition to being a champion for
11 safe, effective, and accessible medicines, NCL is
12 committed to ensuring the consumers have the
13 necessary access to quality medicines that are also
14 affordable.

15 NCL's a strong supporter of biosimilars, and
16 we testified last October here at the FDA in
17 support of the reauthorization of the Biosimilar
18 User Fee Act or BSUFA. We recognize that the entry
19 of biosimilars into the U.S. market presents an
20 opportunity to broaden patient access to lifesaving
21 biologic treatments while bolstering competition,
22 reducing costs, and realizing better health

1 outcomes.

2 Biologics are a result of revolutionary
3 advancements in the development of therapies for
4 patients with debilitating and deadly diseases,
5 such as diabetes, multiple sclerosis, rheumatoid
6 arthritis, and various forms of cancer.

7 Unfortunately, the price for these complex
8 therapies is often prohibitive for the vulnerable
9 patients who need them the most, with some costing
10 upwards of several hundred-thousand dollars a year.
11 Biosimilars provide a less expensive alternative to
12 their reference products, offering the same potency
13 and therapeutic benefits at a fraction of the
14 price.

15 Similar to the dynamic relationship of
16 generic and brand name drugs, the presence of
17 biosimilars will not only encourage patient choice,
18 but also boost market competition and drive down
19 costs.

20 The biosimilar being considered here today
21 would be an alternative to the biologic medicine
22 trastuzumab, I'm sure I'm mispronouncing it, which

1 treats HER2-positive breast cancer and gastric
2 cancer. HER2-positive breast cancer is a
3 particularly aggressive form of breast cancer that
4 affects 1 in 5 women with the disease, and in 2017
5 alone it's estimated over 300,000 will be diagnosed
6 with breast cancer and over 40,000 women will die
7 as a result of this terrible disease.

8 Fortunately, biologic therapies have
9 transformed the way in which we treat breast cancer
10 with many patients experiencing decreased odds of
11 recurrence, increased odds of survival, and an
12 improved quality of life.

13 For all of these reasons the NCL supports
14 the FDA's science-based review of this and other
15 new biosimilar applications, so that patients can
16 have expanded and affordable access to the same and
17 effective biologic medicines they so badly need.

18 Thank you for the opportunity to testify
19 today.

20 DR. ROTH: Thank you. Speaker number 4,
21 your name and your organization please.

22 MR. PHILLIPS: Good afternoon. My name is

1 Thair Phillips. I'm the president and CEO of
2 RetireSafe, a nationwide non-profit advocacy
3 organization for older Americans. I have nothing
4 to declare.

5 I'm here today representing our 200,000
6 supporters and activists, many of which are
7 patients receiving these new life-extending and
8 life-enhancing medicines being discussed today.

9 RetireSafe wants both biosimilars and
10 interchangeable products to be successful. That
11 success in a large part depends on the confidence
12 that doctors, pharmacists, and patients have that
13 these products are safe, effective, and accessible.

14 In past surveys our people overwhelming
15 confirmed that seniors want clear labeling,
16 distinct names, and effective communication between
17 the pharmacist and the doctor. We will continue to
18 focus on safety, effectiveness, and accessibility.

19 Most of you heard my testimony this morning
20 that centered around a process where PBMs and
21 insurance companies would remove a reference
22 biologic from their formulary, thus forcing the

1 patient to switch to a biosimilar. Many refer to
2 this as non-medical switching. I testified this
3 morning that RetireSafe felt that this was unsafe
4 and should be stopped.

5 I appreciate the comment this morning by the
6 patient representative on the AdCom panel
7 concerning this type of non-medical switching and
8 the problems it may cause patients. I am concerned
9 with the answer that was given by the FDA.

10 My take on the answer was that the FDA was
11 not concerned since the biosimilar was deemed
12 similar to the reference product. If this is FDA's
13 approach, then they would not be worried if one of
14 the biosimilars for the reference product, that had
15 already been approved, would be substituted for the
16 reference product at the pharmacy tomorrow.

17 If this is the case, the whole discussion
18 about interchangeability is moot, since every
19 biosimilar that is approved automatically is deemed
20 interchangeable.

21 I sincerely hope this is not the case, and
22 would greatly appreciate a clarification on the

1 answer to the patient representative's question
2 this morning and to this issue in general. Thank
3 you.

4 DR. ROTH: Thank you. Speaker number 5,
5 your name and organization please.

6 MS. McCASLIN: Good afternoon. For those
7 who were here this morning I apologize for the
8 redundancy of my comments. But to the
9 distinguished members of the Oncologic Drugs
10 Advisory Committee, Dr. Gotlieb, and other esteemed
11 representatives of the FDA, thank you for the
12 opportunity to comment here today.

13 My name is Tiffany McCaslin. I'm a senior
14 policy analyst at the National Business Group on
15 Health. Our members would like to thank the
16 committee for holding this important meeting on
17 Biologics License Application 761074.

18 Our organization represents 413 primarily
19 large employers, including 70 of the Fortune 100
20 who voluntarily provide group health and other
21 employee benefits to over 55 million American
22 employees, retirees, and their families.

1 Expenditures for specialty drugs are growing
2 faster than any other component of healthcare
3 spend; well above the rate of overall healthcare
4 inflation and far outpacing that of general
5 inflation, overall growth in the economy, and
6 wages.

7 Moreover, the number of drug approvals,
8 spending, and utilization for specialty medicines
9 are projected to overtake traditional
10 pharmaceuticals over the next several years. These
11 trends add to the growing sense of urgency for
12 large employers who are continuing to strategize on
13 how best to manage growing pharmacy expenditures,
14 and for employees who are paying more out of pocket
15 for these medications.

16 The Business Group and our members
17 appreciate the opportunity to state for the public
18 record that we strongly support a regulatory
19 environment that favors the robust uptake of
20 high-quality, safe, and efficacious biosimilars.

21 Like generic drugs, which reduce U.S.
22 spending by 227 billion dollars in 2015 alone,

1 versus the amount that would have been spent had
2 there been no alternatives to brand medications,
3 biosimilars have the potential to increase
4 competition in the market, which will help lower
5 the overall spending for biologic medicines and
6 increase patient's access to biopharmaceutical
7 advances that increase the quality and the length
8 of their lives.

9 Current estimates suggest that consumers
10 could save as much as 250 billion during the first
11 10 years of biosimilar availability, over what they
12 would spend in absence of competition with brand
13 biologics.

14 While we appreciate the complexity of
15 competition among large molecules differs from that
16 of small molecules, we support the notion that, in
17 general, competition fosters innovation and that
18 those innovations have the potential to redefine
19 markets to benefit patients.

20 To this end, we support the direction that
21 FDA has laid out with regard to biosimilar
22 development requiring the demonstration that a

1 biosimilar demonstrate biosimilarity to the
2 reference product, and believe the FDA has put in
3 place the appropriate patient safeguards to permit
4 data extrapolation to inform appropriate biosimilar
5 use.

6 Again, we thank the committee for holding
7 this important meeting today, as well as all those
8 at FDA, CDER, OND, and other sister agencies.

9 Thank you.

10 DR. ROTH: Thank you. Speaker number 6.

11 DR. CRYER: Good afternoon. My name is
12 Dr. Dennis Cryer, and I'm here today representing
13 the Biologics Prescribers Collaborative. Our
14 members include professional organizations with
15 numerous biologics prescribers.

16 The BPC is a project of the Alliance for
17 Patient Access, and I am thus representing their
18 views here as well. I have no financial or other
19 conflicts of interest.

20 BPC supports sound policies that promote the
21 fully informed and safe use of biologics, including
22 biosimilars for all patients.

1 BPC believes that there are four key policy
2 issues that will encourage the development of
3 biosimilars while protecting patient safety and
4 satisfying the prescriber's need for transparent
5 medical data.

6 In addition to the two biosimilar policy
7 issues I mentioned earlier today, the collaborative
8 encourages the FDA to finalize several biosimilar
9 policies, as well as to thoroughly review
10 biosimilar applications through this AdCom process.

11 Continuing from my comments this morning, my
12 third policy point would be the FDA should provide
13 clear and concise guidance to industries
14 surrounding interchangeability among biosimilars
15 and their reference products.

16 To demonstrate interchangeability a robust
17 and risk-based data package is particularly
18 important, as these products may be substituted for
19 the reference product without intervention from the
20 prescribing health provider and this would be
21 paramount for successful acceptance and uptake of
22 biosimilars.

1 BPC believes that the design and primary
2 endpoints of the clinical switching studies will be
3 critical in determining the safety and efficacy of
4 the medication, as well as the appropriateness of
5 interchangeability.

6 Fourth policy point -- each biological
7 product needs a distinguishable and memorable
8 non-proprietary name. FDA final guidance states
9 that all biological products will bear a
10 non-proprietary name that is a combination of a
11 core name and a four letter suffix devoid of
12 meaning.

13 However, as BPC has voiced previously, a
14 memorable suffix could identify the license holding
15 manufacturer and would be easily remembered by
16 those who frequently prescribe biologics. Further,
17 such a suffix would better equip patients,
18 physicians, and pharmacists to accurately recall or
19 ascertain specifics about the biosimilar, which may
20 differ from those of the originator such as
21 approved indications, administration routes, and
22 delivery systems.

1 Thank you for the opportunity to share our
2 perspectives on issues critical for the safe use of
3 biosimilars, as well as other biologics. An
4 expanded discussion of these four policy issues has
5 been submitted to the docket for these committee
6 meetings today.

7 BPC looks forward to continuing our work
8 with the FDA to ensure patient safety and physician
9 confidence as more biosimilars are developed.
10 Thank you again.

11 DR. ROTH: Thank you. Speaker number 7?

12 MR. McNEELY: Good afternoon. My name is
13 Larry McNeely. I am policy director for the
14 National Collation on Healthcare. We're an
15 alliance of over 80 healthcare stakeholder
16 organizations spanning healthcare provider, payer,
17 consumer, purchaser organizations. Together our
18 members represent, we estimate, close to 150
19 million Americans.

20 The National Collation on Healthcare is a
21 strong supporter of a strong biosimilar pathway,
22 and approval of biosimilars. Biosimilars are a

1 safe and effective way to treat patients, as we've
2 seen in other industrialized nations; Japan, the
3 European Union.

4 I should also indicate echoing the comments
5 of some of the previous speakers, that drug
6 development is increasingly focused in the
7 biologics base. If we are going to bring the next
8 generation of life-saving, life-extending medicines
9 to actual patients, we're going to need competition
10 to make those medications as affordable as they can
11 be. Biosimilars are critical to that goal.

12 Frankly, that kind of competition, as folks
13 have eluded to, can bring tens hundreds of billions
14 of savings we believe over the next decades, and
15 it's why we've seen some interested disparagement
16 of the safety of biosimilars.

17 Because of the high price of brand name
18 biologics, like trastuzumab, the reality is
19 patients are not getting the care that they may
20 need either because of out-of-pocket cost or
21 because of higher premiums rooted in the underlying
22 trend in drug cost. The one thing we know isn't

1 safe for patients, is for patients to not receive
2 the care that they need.

3 Again, thank you for the opportunity to
4 testify before this committee today and for your
5 work on this issue.

6 DR. ROTH: Thank you. Speaker number 8?

7 MS. MILLER: Good afternoon. My name is
8 Elizabeth Miller, and I'm representing the United
9 States Pharmacopeia today and I have no financial
10 interests to disclose.

11 On behalf of USP I would like to thank the
12 agency for allocating time for us to comment on the
13 approval application of the proposed biosimilar
14 Herceptin, and to give us the opportunity to
15 articulate USP's support for biosimilars.

16 USP is an independent, scientific, nonprofit
17 organization dedicating to protecting and improving
18 public health. We collaborate with the FDA and
19 other stakeholders to develop public standards that
20 help ensure the quality, safety, and efficacy and
21 benefit of medicines and foods.

22 USP shares FDA's goal of advancing and

1 promoting patient safety across all medicines, and
2 we support efforts to broaden access to safe,
3 effective, biosimilar products. Better access to
4 biosimilar products will facilitate the
5 availability of lifesaving therapies while helping
6 to ensure the cost to patients and the healthcare
7 system remain affordable and sustainable, and
8 upholding the FDA's standard for evidence-based
9 science-based regulation.

10 The biologic drug, Herceptin, has had an
11 important impact on the treatment of breast cancer
12 since it was first approved in 1998. Biologic
13 medicines, such as Herceptin, have transformed
14 quality of life for patients with chronic
15 conditions. As more biosimilar products gain
16 approval and enter the market, increased
17 competition will provide more treatment options and
18 better patient access to life-sustaining and
19 life-altering medications. The situation is
20 similar in some ways to the advent of generics for
21 small molecule drugs.

22 USP recognizes and applauds the FDA's

1 substantial work to advance the successful
2 implementation of the Biologics Price Competition
3 and Innovation Act in efforts to develop the
4 regulatory pathway while simultaneously addressing
5 very complex scientific issues and implementation
6 challenges.

7 This regulatory pathway provides confidence
8 to healthcare providers, patients, caregivers, and
9 the public that an improved biosimilar is a quality
10 medicine and delivers benefits consistent with the
11 originator product.

12 USP remains committed to working
13 collaboratively with the agency and other
14 stakeholders to fulfill BPCI's promise. While USP
15 has had a long-standing program in biologic
16 standards development, we are now focusing on a
17 paradigm that will primarily emphasize development
18 of raw material and performance standards.

19 These standards are used to help ensure and
20 demonstrate method effectiveness and process
21 functioning throughout various steps,
22 investigational work, process development, and

1 manufacturing operations and are broadly applicable
2 to product families or classes as opposed to
3 specific drug substance or drug products.

4 USP is dedicated to working with FDA and
5 industry to ensure that performance standards
6 support product quality throughout a biologic's
7 lifecycle.

8 For many patients access to biosimilars
9 could be the opportunity to delay disease
10 progression or even achieve a cure, and depending
11 on the medical condition and other factors. In
12 order to bring biosimilar medicines to patients who
13 need them, USP is committed to working effectively
14 in collaborator with FDA and other stakeholders.

15 Thank you for your time today.

16 DR. ROTH: Thank you. Speaker number 9?

17 MR. LI: Good afternoon. My name is Edward
18 Li, and I am a professor of pharmacy practice at
19 the University of New England and College of
20 Pharmacy in Portland, Maine.

21 As a practicing oncology pharmacist and a
22 health outcomes researcher who evaluates practice

1 trends and the pharmacoeconomics of cancer care.
2 I'm here to advocate for the approval of Mylan's
3 proposed biosimilar to trastuzumab, and provide my
4 perspective on the positive impact that this
5 approval will make for the U.S. healthcare system.

6 In full disclosure Mylan is reimbursing me
7 for my travel today.

8 It's a well-established fact that
9 trastuzumab has revolutionized the treatment of
10 patients with HER2-positive breast cancer. It's
11 hard to believe that trastuzumab has been available
12 in the United States for almost 20 years, and we
13 have seen its use evolve from the metastatic
14 setting to early stage disease, all the while
15 gaining experience with how to combine it with
16 other therapies, be a traditional cytotoxic agents
17 or newer biological therapies.

18 As evidence for the success, spending on
19 trastuzumab in the United States is consistently
20 high. In our 2017 Annual U.S. Prescription
21 Expenditure Report, that we published in the
22 American Journal of Health System Pharmacy, my

1 colleagues and I report that trastuzumab is the
2 24th highest expenditure product in the United
3 States with \$2.6 billion in spending in 2016;
4 that's up 5.5 percent from 2015.

5 Specifically in the clinics, trastuzumab is
6 the fifth highest expenditure product with \$2.1
7 billion in 2016 spending -- up 9.1 percent in 2015.

8 As you can glean from this data, our current
9 healthcare system is paying premium prices for a
10 very effective, but older therapy. That's why I'm
11 here today to advocate for the approval for Mylan's
12 biosimilar trastuzumab.

13 I've read the publicly available data
14 regarding Mylan's application of the proposed
15 trastuzumab biosimilar, and it's my assessment that
16 their product meets the regulatory standard of
17 being highly similar with no clinically meaningful
18 differences to the reference product.

19 Approving this product will allow healthcare
20 providers and patients another product option
21 within HER2-positive disease. It will help
22 increase access to medications while reducing

1 spending on drug therapy.

2 In closing, I'd like to state that spending
3 on antineoplastics in the U.S. has grown by
4 56 percent in the past six years, and this is
5 unsustainable. With the addition of new and
6 impending immuno-oncology agents, this is putting
7 great financial pressure on our healthcare system.

8 We urgently need market competition to
9 reduce overall spending on trastuzumab products,
10 which will help moderate the growth of oncology
11 drug expenditures.

12 DR. ROTH: Thank you. Speaker number 10?

13 DR. GEWANTER: Good afternoon. My name is
14 still Harry Gewanter, for those of you were here
15 this morning, and I haven't received any notice
16 that I'm not still the chair for the Alliance for
17 Safe Biologic Medicines since I testified a few
18 hours ago.

19 They are sponsoring my attendance, and
20 ASBM's an organization of patients, physicians,
21 pharmacists, researchers, manufacturers of both
22 innovator and biosimilar medicines including

1 Genentech, and others dedicated to ensuring patient
2 safety remains at the forefront of all biosimilar
3 policy discussions.

4 Our members include a number of patient
5 advocacy groups representing patients with breast
6 and gastric cancers; two of the indications for
7 which trastuzumab is utilized, and one of which is
8 being requested today.

9 I would like to join with the comments
10 earlier to commend the sponsor on the clarity and
11 extensiveness of their data, and I think that, that
12 shows the potential benefits for biosimilars for
13 everyone in this country.

14 We support the FDA's extensive intense
15 reviews and analyses of these medications both at
16 the time of application, as well as throughout the
17 medication's lifespan.

18 To reiterate some of the comments from this
19 morning and from others -- ASBM encourages the FDA
20 to one, continue its thorough evaluations to ensure
21 biosimilarity at both an analytic and clinical
22 level.

1 Two, approve biosimilar indications
2 individually based on sufficient supporting data
3 and not just provide blanket extrapolations, and
4 provide each of the advisory committees the
5 opportunity to separate out their decisions.

6 Three, ensure that each and every biologic
7 product, both originator and biosimilar, be
8 uniquely identified with distinguished names.

9 Ideally, ASBM would prefer that the FDA and WHO
10 would use their leadership to agree upon a single
11 international system, such as the WHOBQ proposal.
12 This convergence of naming systems would encourage
13 other regulatory agencies to follow suit, thereby
14 increasing the ability for more robust
15 pharmacovigilance.

16 Four, institute clear, identifiable,
17 transparent, and up-to-date labeling for all
18 medications so patients, prescribers, and
19 pharmacists will know which products are
20 biosimilars, which indications were studied versus
21 extrapolated, and whether a product is
22 interchangeable, et cetera.

1 Finally, we strongly, strongly encourage a
2 robust post-market surveillance system designed by
3 real world data in order to further our
4 understanding of these medications, and promote a
5 more efficient, safer, and personalized use,
6 thereby improving patient care and increasing
7 confidence in both originators and biosimilars.

8 Thank you again for your dedication and
9 essential efforts on behalf of all Americans, and I
10 appreciate the opportunity to provide our
11 perspectives to you on this important issue. Thank
12 you.

13 DR. ROTH: Thank you. We'll be skipping
14 speaker number 11, so surprise to speaker
15 number 12, if you would like to come to the podium.

16 MR. VAN DEN HOVEN: Thank you very much.
17 I'm Adrian van den Hoven, director general of
18 Medicines for Europe, which regroups biosimilar
19 medicines and manufacturers in Europe, and I have
20 nothing to declare.

21 I think the committee for the opportunity to
22 participate in this hearing to present the European

1 experience with biosimilar medicines, which I hope
2 will contribute to greater public awareness of the
3 huge benefits that these medicines can bring to
4 patient health.

5 We were fortunate in Europe to have a legal
6 framework for biosimilar medicines since 2004, and
7 we have close to 11 years of practical experience
8 with their use in therapy. I will share three key
9 learnings from that used in Europe. That they are
10 equally safe and effective as the reference
11 product, that they significantly lower treatment
12 costs, that they massively increase patient access
13 to therapies which translates into better health.

14 The first point, as I mentioned, in Europe
15 we've had biosimilar medicines accessible for over
16 10 years, and we have a considerable amount of
17 positive data, which confirms that they are safe
18 and effective as the reference product. The data
19 collected from the 700 million patient days of
20 experience has all been confirmatory for biosimilar
21 medicines.

22 Whether you look at the real world

1 pharmacovigilance data collected by the European
2 Medicines Agency or at post-marketing clinical
3 studies, these should reassure healthcare
4 practitioners and patients as to the validity of
5 the biosimilar regulatory process and the products
6 that are approved for market.

7 On this point, I wish to commend the U.S.
8 FDA and the European Medicines Agency for their
9 exemplary scientific cooperation in the field of
10 biosimilar regulatory science.

11 Second point; biosimilar competition has
12 significantly reduced prices for treatment in
13 numerous therapy areas, which the massive increases
14 in access in this table demonstrate.

15 While this is the *raison d'etre* of these
16 medicines, it also shows the huge value for patient
17 health and encouraging this development.

18 Increased access, and this is my third
19 point, translates into better health. In wealthier
20 populations, like the UK, which I've highlighted on
21 the slideshow, significant changes in treatment
22 protocols -- for example, medically appropriate

1 earlier use for the prevention of neutropenia in
2 cancer patients or changes to health technology
3 assessment guidelines for autoimmune conditions --
4 were introduced thanks to biosimilar competition.

5 In poorer populations like Bulgaria, which
6 is also highlighted on this slide, the poorest
7 state in the European Union, patients have gained
8 access to biological medicines where they otherwise
9 were deprived due to cost. All of this has led to
10 many more patients receiving the treatment their
11 condition requires at the appropriate time in their
12 cycle.

13 To conclude, Europe's 700 million patient
14 days of experience with biosimilar medicines over
15 the last decade has demonstrated they are safe and
16 effective as the reference product, they lower the
17 cost of treatment significantly, and they massively
18 increase access for patients.

19 For European patients and healthcare
20 practitioners biosimilar medicines have proven to
21 be tremendous value for health, and I'm convinced
22 that there are similar opportunities for the U.S.

1 My slideshow is available in the front desk,
2 as well as all of the resources which prove the
3 data points that I've presented. Thank you very
4 much again to the committee.

5 **Questions to the Committee and Discussion**

6 DR. ROTH: Thank you. The open public
7 hearing portion of this meeting has now concluded,
8 and we will no longer take comments from the
9 audience.

10 The committee will turn its attention to
11 address the task at hand, the careful consideration
12 of the data before the committee, as well as the
13 public comments.

14 We'll now proceed with the questions to the
15 committee and the panel discussions. I'd like to
16 remind public observers that while this meeting is
17 open for public observation, public attendees may
18 not participate, except at the specific request of
19 the panel.

20 If we could see the discussion questions
21 -- if we can discuss these at the same time.

22 Number 1. Please discuss whether the

1 evidence supports a demonstration that MYL-14010 is
2 highly similar to U.S. Herceptin, notwithstanding
3 minor differences in clinically inactive
4 components.

5 Number 2. Please discuss whether the
6 evidence supports a demonstration that there are no
7 clinically meaningful differences between MYL-14010
8 and U.S. Herceptin in the studied condition of use.

9 Then thirdly, please discuss whether there
10 is adequate scientific justification to support
11 licensure for all of the proposed indications.

12 Again, just like this morning, a discussion
13 of biosimilarity from an analytic source,
14 biosimilarity from a clinical perspective, and
15 finally whether there's sufficient scientific
16 evidence to extrapolate to all indications.

17 Again, let Jay know if you'd like to make
18 some comments regarding those. Courtney?

19 MS. PREUSSE: Courtney Preusse, Fred
20 Hutchinson. Quick question, the proposed
21 indications currently are for breast cancer and
22 metastatic gastric cancer, but the voting question

1 in parentheses only mentions breast cancer. So I
2 guess I'm confused as to whether or not for
3 discussion point 3 we are discussing that there's
4 adequate scientific justification to support
5 licensure for breast cancer and gastric with this
6 new drug or just breast cancer?

7 DR. ROTH: Stole my question. Obviously,
8 the gastric issue is not like this morning. It's a
9 looming expiration of an orphan extension, and so,
10 I had the exact same question. Are we voting for a
11 gastric extension, which would then kick in
12 October 20th, or not?

13 DR. BEAVER: As described in the FDA
14 briefing document for the ODAC, Herceptin's
15 indication for metastatic gastric cancer is
16 protected by orphan drug exclusivity, as you
17 mentioned, expiring on October 20, 2017.

18 Accordingly, FDA would not be able to
19 license the Mylan product for the proposed
20 indication of gastric cancer until the orphan drug
21 exclusivity expires. But based on the content of
22 the application, which includes a scientific

1 justification to support licensure for all of the
2 proposed indications for Mylan, including the
3 metastatic gastric cancer indication once the
4 relevant exclusivity expires, FDA has requested
5 that the committee discuss whether the scientific
6 justification is adequate.

7 DR. ROTH: So we can discuss extrapolation,
8 but we are not voting on the gastric indication.

9 DR. BEAVER: That's correct.

10 DR. ROTH: Okay. Thank you. Other comments
11 or questions?

12 No? Then I suppose we'll proceed to the
13 vote. Let's see the voting question please.

14 Oh, sure. Sorry.

15 DR. HENDRIX: They've requested us to
16 discuss. Do you want to say something briefly
17 about the particular issue since it's clearly not
18 going to vote on it?

19 DR. ROTH: In my own mind this met the
20 criteria for a highly similar, both in terms of
21 analytics and in terms of clinical outcomes, for
22 the trial that was described. My personal bias is

1 to go ahead and extrapolate to another indication,
2 specifically in the gastric cancer population.
3 That's my personal bias, but I'm open to comments
4 from other panel members. Dr. Nowakowski.

5 DR. NOWAKOWSKI: Greg Nowakowski, I agree
6 with those comments. I think the provided evidence
7 of clinical activity is very solid, the analytical
8 data was very solid as well, and I think, based on
9 those and the totality of evidence, I think
10 extrapolation to other situations including gastric
11 cancer would be appropriate.

12 DR. ROTH: Other comments? Now I'm afraid
13 to close the discussion. Okay, let's put the
14 voting question up.

15 Does the totality of the evidence support
16 the licensure of MYL-14010 as a biosimilar product
17 to U.S. Herceptin for the following indications for
18 which U.S. Herceptin is licensed and for which the
19 applicant is eligible for licensure; HER2 positive
20 breast cancer in both the metastatic and adjuvant
21 settings?

22 We'll be using an electronic voting system

1 for this meeting. Once we begin the vote the
2 buttons will start flashing, and will continue to
3 flash even after you've entered your vote. Please
4 press the button firmly that corresponds to your
5 vote.

6 If you are unsure of your vote or you wish
7 to change your vote you may press the corresponding
8 button until the vote is closed. After everyone
9 has completed their vote, the vote will be locked
10 in.

11 The vote will then be displayed on the
12 screen, and the DFO will read the vote from the
13 screen into the record and then we'll go around the
14 room and give people opportunities to explain their
15 votes.

16 (Voting.)

17 DR. FAJICULAY: For the record, the results
18 are 16 yes, zero no, zero abstained, and zero
19 no-vote.

20 DR. ROTH: Let's go around the table, and
21 start on this side. Dr. Moreira.

22 DR. MOREIRA: All right, I guess I get to go

1 first. Well based on the totality of evidence, I
2 voted yes.

3 I think the sponsor's and the FDA
4 presentations were very clear and the analytical
5 similarity, to me, was well-justified.

6 Again, the minor variations that we
7 discussed, given then the information of PK and
8 clinical studies, were to me compelling to vote
9 yes.

10 DR. SCHIEL: I would echo that thought. I
11 thought the presentation of numerous orthogonal
12 assays was very nice. The use of tier 1 and tier 2
13 statistical presentations was also very clear. So
14 the analytical similarity was well-demonstrated and
15 the use of numerous bioactivity studies related to
16 the mechanism of action cleared up any residual
17 uncertainty, so I also voted yes.

18 DR. SEIDMAN: I --

19 DR. ROTH: State your name before you --

20 DR. SEIDMAN: -- Andrew Seidman, Memorial
21 Sloan Kettering. I also voted yes, and was happy
22 that those who could be more critical about the

1 preclinical analytics were happy with that.

2 The clinical data were very compelling in
3 the setting in which it was studied. I just will
4 reiterate my comment that I think in the
5 extrapolation, not necessarily across diseases but
6 from the metastatic to the adjuvant setting, that
7 careful attention needs to be paid to the trial
8 design, the endpoint selection, and the correlation
9 coefficient between that and outcomes in the early
10 stage setting.

11 DR. HENDRIX: Craig Hendrix, John Hopkins.
12 I voted yes. I thought there was very strong
13 evidence that they were highly similar and there
14 was no clinically meaningful differences, and it
15 was reasonable for the proposed indications for
16 extrapolation.

17 DR. COLE: Bernard Cole. I voted yes as
18 well, largely for the same reasons that had already
19 been mentioned. Just simply to add that the
20 clinical studies showed no signal at all of any
21 clinically important differences.

22 MS. CHAUHAN: Cynthia Chauhan. I voted yes,

1 also for the reasons already stated.

2 MS. PREUSSE: Courtney Preusse. I also
3 voted yes, and just wanted to add that despite my
4 earlier questions and perhaps skepticism, I would
5 like to strongly applaud the sponsor for
6 equivalence results that were very solid and for
7 what appears to be the first proposal of a
8 biosimilar for a drug that's been on the market for
9 almost three decades.

10 DR. NOWAKOWSKI: Greg Nowakowski. I voted
11 yes, based on the totality of evidence as already
12 discussed by others. In addition, I think our
13 current understanding of the mechanism of action
14 support extrapolation of the results to adjuvant
15 setting.

16 DR. ULDRICK: Thomas Uldrick, CCR. I also
17 appreciated the totality of evidence presented very
18 clearly, and the thoughtfulness of the responses to
19 clarifying questions.

20 This agent appears highly similar, and I
21 think the scientific justification for
22 extrapolation to HER2-positive gastric cancer is

1 also reasonable.

2 DR. ROTH: Bruce Roth, St. Louis. I voted
3 yes, and for a change I have nothing to add.

4 DR. RINI: Brian Rini, Cleveland Clinic. I
5 voted yes for all the same reasons. I thought it
6 clearly met the regulatory standard, and I agree
7 with the comments on extrapolation.

8 DR. WALDMAN: Scott Waldman. I voted yes, I
9 have nothing to add to the other comments. I will
10 agree with the extrapolation, and I also agree to
11 extrapolation to gastric cancer.

12 DR. ARMSTRONG: Deb Armstrong, Johns
13 Hopkins. I also voted yes, and I would agree with
14 the extrapolation to gastric cancer as well.

15 I will say, just to reiterate what I
16 discussed before, which is that if this is approved
17 and is used in the metastatic setting it will
18 almost immediately be used with pertuzumab, and I
19 would really -- it would be very nice for us to
20 have some data on the use of the biosimilar with
21 pertuzumab. But we're really asked to say, do we
22 really think its bioequivalent or do we not? I do

1 think its bioequivalent, and therefore I approved
2 it.

3 DR. KARARA: Adel Karara. I voted yes. The
4 data from the clinical pharmacology package was
5 compelling, and I commend the sponsor for
6 conducting the population pharmacokinetic analysis
7 in the HERITAGE study and generating clearance and
8 [indiscernible] comparative data in metastatic
9 breast cancer patients.

10 DR. CHOW: Shein Chow. I also voted yes.
11 Actually, I have nothing to add, but I think the
12 package presented by the sponsor is very solid.

13 DR. MAGER: Don Mager. I voted yes, largely
14 for the reasons that are stated, and I agree with
15 the extrapolation as being scientifically sound.

16 **Adjournment**

17 DR. ROTH: My thanks to the committee.
18 We'll now adjourn the meeting. Panel members
19 please leave your name badge here on the tables so
20 that they may be recycled. Please also take all
21 your personal belongings with you, as the room is
22 cleaned at the end of the meeting day. Meeting

1 materials left on the table will be disposed of.

2 Thank you again.

3 (Whereupon, at 4:09 p.m., the afternoon
4 session was adjourned.)

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22