

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

PERIPHERAL AND CENTRAL NERVOUS SYSTEM
DRUG ADVISORY COMMITTEE (PCNS)

Thursday, September 28, 2017

9:00 a.m. to 3:54 p.m.

Tommy Douglas Conference Center

10000 New Hampshire Avenue

Silver Spring, Maryland

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Moon Hee V. Choi, PharmD**

4 Division of Advisory Committee and

5 Consultant Management

6 Office of Executive Programs, CDER, FDA

7

8 **PERIPHERAL AND CENTRAL NERVOUS SYSTEM DRUGS**

9 **ADVISORY COMMITTEE MEMBERS (Voting)**

10 **G. Caleb Alexander, MD, MS**

11 *(Chairperson)*

12 Associate Professor of Epidemiology and Medicine

13 Johns Hopkins Bloomberg School of Public Health

14 Center for Drug Safety and Effectiveness

15 Baltimore, Maryland

16

17

18

19

20

21

22

1 **Mark W. Green, MD, FAAN**

2 Professor of Neurology, Anesthesiology, and

3 Rehabilitation Medicine

4 Director of Headache and Pain Medicine

5 Vice Chair of Neurology for Professional

6 Development and Alumni Relations

7 Icahn School of Medicine at Mt Sinai

8 New York, New York

9

10 **Richard J. Kryscio, PhD**

11 Professor, Statistics and Biostatistics

12 University of Kentucky

13 Sanders-Brown Center on Aging

14 Lexington, Kentucky

15

16

17

18

19

20

21

22

1 **Chiadi U. Onyike, MD**

2 Associate Professor of Psychiatry and Behavioral
3 Sciences

4 Division of Geriatric Psychiatry and
5 Neuropsychiatry

6 Department of Psychiatry and Behavioral Sciences
7 The Johns Hopkins University School of Medicine
8 Baltimore, Maryland

9

10 **Bruce I. Ovbiagele, MD, MSc, MAS**

11 Pihl Professor and Chairman of Neurology
12 Medical University of South Carolina
13 Charleston, South Carolina

14

15 **Joel S. Perlmutter, MD**

16 Elliot Stein Family Professor of Neurology
17 Professor of Radiology, Neuroscience, Physical
18 Therapy & Occupational Therapy
19 Washington University School of Medicine
20 St. Louis, Missouri

21

22

1 **PERIPHERAL AND CENTRAL NERVOUS SYSTEM DRUGS**

2 **ADVISORY COMMITTEE MEMBER (Non-Voting)**

3 **Mark Gordon, MD**

4 *(Industry Representative)*

5 Senior Director

6 Clinical Development, Central Nervous Systems

7 Teva Pharmaceuticals

8 Malvern, Pennsylvania

9
10 **TEMPORARY MEMBERS (Voting)**

11 **Nathan B. Fountain, MD**

12 Professor of Neurology

13 Director, FE Dreifuss Comprehensive Epilepsy

14 Program

15 University of Virginia

16 Charlottesville, Virginia

17
18
19
20
21
22

1 **Aaron Kesselheim, MD, JD, MPH**
2 Associate Professor of Medicine, Harvard Medical
3 School
4 Division of Pharmacoepidemiology and
5 Pharmacoeconomics
6 Brigham and Women's Hospital
7 Boston, Massachusetts

8
9 **Wyatt Lison, Esq**
10 *(Acting Consumer Representative)*
11 Partner
12 Feinstein Doyle Payne & Kravec, LLC
13 Pittsburg, Pennsylvania

14
15 **Michelle M. Mielke, PhD**
16 Professor of Epidemiology
17 Department of Health Sciences Research
18 Professor of Neurology
19 Mayo Clinic College of Medicine and Science
20 Mayo Clinic
21 Rochester, Minnesota

22

1 **Jeffrey Watkins**

2 *(Patient Representative)*

3 Annapolis, Maryland

4
5 **FDA PARTICIPANTS (Non-Voting)**

6 **Robert Temple, MD**

7 Deputy Center Director for Clinical Science

8 CDER, FDA

9
10 **Ellis Unger, MD**

11 Director

12 Office of Drug Evaluation I (ODE-I)

13 Office of New Drugs (OND), CDER, FDA

14
15 **Billy Dunn, MD**

16 Director

17 Division of Neurology Products (DNP)

18 ODE-I, OND, CDER, FDA

19
20 **Eric Bastings, MD**

21 Deputy Director

22 DNP, ODE-I, OND, CDER, FDA

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Nicholas Kozauer, MD

Clinical Team Leader

DNP, ODE-I, OND, CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Caleb Alexander, MD, MS	12
5	Conflict of Interest Statement	
6	Moon Hee Choi, PharmD	16
7	FDA Opening Remarks	
8	Billy Dunn, MD	20
9	Applicant Presentations - PTC Therapeutics	
10	Introduction	
11	Murad Husain, RPh, MS	44
12	Ataluren Mechanism of Action	
13	Ellen Welch, PhD	50
14	DMD Natural History and Clinical Endpoints	
15	Kevin Flanigan, MD	55
16	Ataluren Efficacy and Safety	
17	Joe McIntosh, MD	64
18	Clinical Perspective	
19	Craig McDonald, MD	80
20	Clarifying Questions	89
21		
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	FDA Presentations	
4	Efficacy Considerations	
5	Robert Temple, MD	117
6	Ataluren Efficacy - Overview	
7	Veneeta Tandon, PhD	128
8	Ataluren Efficacy Statistical Review	
9	Xiang Ling, PhD	137
10	Ataluren Efficacy - Key Considerations	
11	Veneeta Tandon, PhD	146
12	Evaluation of the Hypothesis of an	
13	"Inverted-U" Shaped Exposure-Response	
14	Relationship of Ataluren	
15	Venkatesh Atul Bhattaram, PhD	155
16	Limitations of the Bioassays Used for	
17	Dystrophin in Studies 004 and 007	
18	Ashutosh Rao, PhD	160
19	Nonclinical and In Vitro Dystrophin	
20	Models	
21	James Weaver, PhD	165
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	FDA Summary	
4	Nick Kozauer, MD	168
5	Clarifying Questions	177
6	Open Public Hearing	197
7	Clarifying Questions (continued)	283
8	Questions to the Committee and Discussion	297
9	Adjournment	316
10		
11		
12		
13		
14		
15		
16		
17		
18		
19		
20		
21		
22		

1 P R O C E E D I N G S

2 (9:00 a.m.)

3 **Call to Order**

4 **Introduction of Committee**

5 DR. ALEXANDER: Good morning. My name is
6 Caleb Alexander. I'd like to welcome you and
7 remind everyone to please silence your cell phones,
8 smartphones, and any other devices if you've not
9 already done so.

10 I'd also like to identify the FDA press
11 contact, Sandy Walsh. If you're present, could you
12 please stand or raise your hand. Thank you. I see
13 you over there.

14 Once again, my name's Caleb Alexander. I'm
15 the chairperson of the Peripheral and Central
16 Nervous System Drugs Advisory Committee, and I'll
17 now call this meeting to order. We'll start by
18 going around the table and introducing ourselves.
19 Why don't we start with the FDA to my left and go
20 around the table?

21 DR. TEMPLE: Good morning. I'm Bob Temple.
22 I'm the deputy director of ODE I, acting deputy.

1 Thanks.

2 DR. UNGER: Good morning. I'm Ellis Unger.
3 I'm director of the Office of Drug Evaluation I, in
4 the Office of New Drugs in CDER at FDA.

5 DR. DUNN: Good morning. I'm Billy Dunn.
6 I'm the director of the Division of Neurology
7 Products.

8 DR. BASTINGS: Good morning. I'm Eric
9 Bastings, deputy director of the Division of
10 Neurology Products.

11 DR. KOZAUER: Good morning. I'm Nick
12 Kozauer. I'm a clinical team lead in the Division
13 of Neurology Products.

14 DR. KESSELHEIM: Good morning. My name's
15 Aaron Kesselheim. I'm an associate professor of
16 medicine in the Division of Pharmacoepidemiology
17 and Pharmacoconomics at Brigham and Women's
18 Hospital and Harvard Medical School.

19 DR. GREEN: Morning. I'm Mark Green. I'm a
20 professor of neurology, anesthesiology, and
21 rehabilitation medicine, and director of headache
22 and pain medicine at the Icahn School of Medicine

1 at Mt. Sinai in New York.

2 DR. ALEXANDER: And once again, I'm Caleb
3 Alexander. I'm an associate professor of
4 epidemiology and medicine at John Hopkins, and I
5 codirect the Center for Drug Safety and
6 Effectiveness there.

7 DR. PERLMUTTER: I'm Joel Perlmutter,
8 professor of neurology, radiology, neuroscience,
9 physical therapy, occupational therapy at
10 Washington University, and I direct the Movement
11 Disorders Center there.

12 DR. FOUNTAIN: I'm Nathan Fountain from the
13 University of Virginia, where I'm a professor of
14 neurology, and direct the epilepsy program there.

15 DR. MIELKE: I'm Michelle Mielke from the
16 Mayo Clinic, where I'm a professor of neurology and
17 epidemiology.

18 DR. KRYSCIO: Good morning. I'm Dick
19 Kryscio from the University of Kentucky. I'm a
20 professor of statistics and biostatistics and
21 associate director of the Alzheimer's Disease
22 Center.

1 MR. LISON: Good morning. I'm Wyatt Lison.
2 I'm a partner with Feinstein Doyle Payne & Kravec.
3 I'm the acting consumer representative.

4 MR. WATKINS: Good morning. I'm Jeff
5 Watkins. I'm a Duchenne's community patient
6 representative from Annapolis, Maryland.

7 DR. OVBIAGELE: Good morning. I'm Bruce
8 Ovbiagele. I'm professor and chair of neurology at
9 the Medical University of South Carolina.

10 DR. GORDON: Good morning. My name is Mark
11 Gordon. I'm the industry representative. I'm a
12 neurologist and senior director at Teva
13 Pharmaceuticals.

14 DR. ALEXANDER: Great. Thank you.

15 For topics such as those being discussed at
16 today's meeting, there are often a variety of
17 opinions, some of which are quite strongly held.
18 Our goal is to ensure that today's meeting will be
19 a fair and open forum for discussion of these
20 issues and that individuals can express their views
21 without interruption. Thus, as a gentle reminder,
22 individuals will be allowed to speak into the

1 record only if recognized by me. We look forward
2 to a productive meeting.

3 In the spirit of the Federal Advisory
4 Committee Act and the Government and the Sunshine
5 Act, we ask that advisory committee members take
6 care that their conversations about the topic at
7 hand take place in the open forum of the meeting.

8 We are aware that members of the media are
9 anxious to speak with the FDA about these
10 proceedings. However, FDA will refrain from
11 discussing the details of this meeting with the
12 media until its conclusion. Also, the committee is
13 reminded to please refrain from discussing the
14 meeting topic during breaks or lunch. Thank you.

15 Now I'll pass it to Moon Hee Choi who will
16 read the conflict of interest statement.

17 **Conflict of Interest Statement**

18 DR. CHOI: The Food and Drug Administration
19 is convening today's meeting of the Peripheral and
20 Central Nervous System Drugs Advisory Committee
21 under the Authority of the Federal Advisory
22 Committee Act of 1972.

1 With the exception of the industry
2 representative, all members and temporary voting
3 members of the committee are special government
4 employees or regular federal employees from other
5 agencies and are subject to federal conflict of
6 interest laws and regulations.

7 The following information on the status of
8 this committee's compliance with federal ethics and
9 conflict of interest laws, covered by but not
10 limited to those found at 18 U.S.C., Section 208,
11 is being provided to participants in today's
12 meeting and to the public.

13 FDA has determined that members and
14 temporary voting members of this committee are in
15 compliance with Federal Ethics and Conflict of
16 Interest laws. Under 18 U.S.C., Section 208,
17 Congress has authorized FDA to grant waivers to
18 special government employees and regular federal
19 employees who have potential financial conflicts
20 when it is determined that the agency's need for a
21 special government employee's services outweighs
22 his or her potential financial conflict of

1 interest, or when the interests of a regular
2 federal employee is not so substantial as to be
3 deemed likely to affect the integrity of the
4 services, which the government may expect from the
5 employee.

6 Related to the discussions at today's
7 meeting, members and temporary voting members of
8 this committee have been screened for potential
9 financial conflicts of interest of their own, as
10 well as those imputed to them, including those of
11 their spouses or minor children, and for purposes
12 of 18 U.S.C., Section 208, their employers. These
13 interests may include investments, consulting,
14 expert witness testimony, contracts, grants,
15 CRADAs, teaching, speaking, writing, patents and
16 royalties, and primary employment.

17 Today's agenda involves discussion of new
18 drug application, NDA 200896, ataluren for oral
19 suspension, sponsored by PTC Therapeutics, for the
20 treatment of patients with dystrophinopathy due to
21 a nonsense mutation in the dystrophin gene. This
22 is a particular matters meeting during which

1 specific matters related to PTC Therapeutics' NDA
2 will be discussed.

3 Based on the agenda for today's meeting and
4 all financial interests reported by the committee
5 members and temporary voting members, no conflict
6 of interest waivers have been issued in connection
7 with this meeting.

8 To ensure transparency, we encourage all
9 standing committee members and temporary voting
10 members to disclose any public statements that they
11 have made concerning the product at issue.

12 With respect to FDA's invited industry
13 representative, we would like to disclose that
14 Dr. Mark Gordon is participating in this meeting as
15 a non-voting industry representative, acting on
16 behalf of regulated industry. Dr. Gordon's role at
17 this meeting is to represent industry in general
18 and not any other particular company. Dr. Gordon
19 is employed by Teva Pharmaceuticals.

20 We would like to remind members and
21 temporary voting members that if the discussions
22 involve any other products or firms not already on

1 the agenda for which an FDA participant has a
2 personal or imputed financial interest, the
3 participants need to exclude themselves from such
4 involvement, and their exclusion will be noted for
5 the record. FDA encourages all participants to
6 advise the committee of any financial relationships
7 that they may have with the firm at issue. Thank
8 you.

9 DR. ALEXANDER: Thank you. We'll now
10 proceed with the FDA's introductory remarks from
11 Dr. Billy Dunn, director of the Division of
12 Neurology Products.

13 **FDA Opening Remarks - Billy Dunn**

14 DR. DUNN: Thank you, Dr. Alexander. Good
15 morning. I've made the unwise decision of
16 preparing somewhat lengthy remarks while I'm
17 suffering from a cold, so I beg your patience if I
18 have any troubles with that, but I'm very pleased
19 to be here.

20 Good morning to you all. Good morning to
21 the committee. Welcome to all our committee
22 member, guests who have traveled here, and all the

1 folks who are joining us by electronic means for
2 this important meeting.

3 I want to thank the committee for your
4 willingness to be here, your eagerness to consider
5 the important topics we will discuss today, and
6 your forthrightness in sharing with us your
7 perspectives on the application under
8 consideration.

9 I want to especially thank the public
10 attendees, both in person and those that are
11 joining us by audio or video broadcast, for their
12 commitment to finding a treatment for Duchenne's
13 muscular dystrophy and related conditions.

14 I particularly want to thank the patients
15 who are joining us today. For those of you who
16 have requested an opportunity to address the
17 committee, or who have provided written comments to
18 the committee, we look forward to and are deeply
19 appreciative of your input. Your efforts to be
20 here are truly invaluable. Thank you.

21 We are here today to discuss the development
22 of ataluren for the treatment of patients with

1 dystrophinopathies resulting from nonsense
2 mutations in the dystrophin gene, including
3 patients with nonsense mutation Duchenne's muscular
4 dystrophy, the population that was enrolled in the
5 studies under consideration.

6 There is, without question, a profound unmet
7 medical need in DMD. Despite available treatments,
8 there is a clear need for improved therapeutic
9 options for this serious and rare disease, and
10 there are no approved treatments that specifically
11 target nonsense mutation DMD.

12 The natural history of DMD is relentlessly
13 progressive, despite the many advances that have
14 been made in its treatment over the years. We are
15 highly sensitive to the urgency needed for the
16 development of effective treatments for DMD and to
17 the importance of bringing all tools, approaches,
18 and mechanisms that might be available to ensure
19 the efficient development of such treatments.

20 Although we may hear assertions today made
21 to the contrary, I assure the committee that we are
22 aware of and responsive to this context. I also

1 unambiguously assure the committee that this
2 application and its preceding regulatory
3 interactions have always been considered in this
4 light.

5 Indeed, in this area, and all other
6 diseases, in which issues such as these are
7 present, which are, frankly, a substantial portion
8 of the diseases and development programs we in the
9 neurology division deal with, we understand these
10 factors, bear them well in mind, and make a point
11 to consider them in all our interactions with all
12 sponsors throughout the development process.

13 One need look no further than our recent
14 drug approvals in the neurological space to see
15 examples of our attentiveness to these issues. It
16 is worthwhile to explicitly note that our concerns
17 with this application, about which you have read in
18 the background materials and will hear and discuss
19 today, exist even with the recognition that DMD is
20 a rare disease with a relentlessly progressive
21 course that has an enormous unmet medical need.

22 Even in the face of these needs, we have

1 fundamental concerns about the nature of the
2 analyses and observations that have been offered by
3 the applicant that are intended to provide
4 convincing evidence of that ataluren's
5 effectiveness.

6 Prior to discussing, briefly, the nature of
7 these concerns, because of the strength of our
8 disagreement with the applicant, I want to
9 emphasize that despite our differing views on the
10 data in the application, the applicant and the
11 agency do not have a contentious relationship.

12 Indeed, despite the inherently difficult
13 nature of discussions at which fundamentally
14 different viewpoints are expressed, our
15 conversations with the applicant have been
16 thoughtful exchanges marked by careful scientific
17 consideration of the relevant issues.

18 It has always been apparent to me that the
19 applicant has listened carefully to our concerns.
20 And similarly, we have always listened attentively,
21 sincerely, and with an open mind to the arguments
22 advanced by the applicant. Dr. Peltz, who is

1 observing these proceedings, and the team he has
2 assembled, are to be commended for their steadfast
3 commitment to patients with DMD.

4 We are here today under unusual
5 circumstances. We are reviewing this application
6 under a condition known as filing over protest.
7 When the agency refuses to accept or file a
8 submitted application because it is deficient in
9 some manner that precludes its acceptance, the
10 regulations stipulate, that, after some discussion,
11 an applicant may insist that it be filed, in
12 essence requiring the agency to review the
13 application despite the agency's previously stated
14 written objections to doing so and over the FDA's
15 protest.

16 The applicant has opted for this approach
17 for ataluren. I will spend a moment discussing a
18 bit of the regulatory history of this application
19 in order to describe how we have arrived at this
20 point.

21 The applicant first submitted an application
22 in 2011. The application was based on the study

1 known as Study 007. This study failed. Although
2 the applicant performed numerous additional
3 analyses of the trial, these analyses were post hoc
4 and unconvincing. Because it was clear on face
5 that the application could not be approved based on
6 the data submitted, we refused to file the
7 application.

8 Soon after the decision to refuse to file
9 the application, we held a meeting with the
10 applicant to discuss the concerns that we had with
11 the application. At this meeting, we discussed
12 with the applicant the need for an additional study
13 that could be informed by the results of Study 007.

14 After the meeting with the applicant, but
15 before issuance of our final meeting minutes, we
16 elected to hold an additional internal meeting to
17 carefully consider the applicant's additional
18 arguments, and it was clear that the presented data
19 could not support approval. It was also clear that
20 a second adequately designed study should be
21 performed. These conclusions and recommendations
22 were communicated to the applicant.

1 After receiving this guidance, the applicant
2 appealed the decision to refuse to file the
3 application, in what is known as a Formal Dispute
4 Resolution Request. After considering the
5 applicant's arguments, the appeal was denied, and
6 the decision to refuse to file the application was
7 upheld.

8 The applicant submitted a revised
9 application in 2015. This application was based on
10 a study known as Study 020, which was informed by
11 additional hypotheses generated from further
12 post hoc analyses of the results of Study 007.

13 It was a larger study than Study 007 and was
14 enriched for patients with baseline characteristics
15 predicted by the applicant to increase the ability
16 to identify a drug effect, if present. Study 020
17 also failed.

18 Like Study 007, the applicant performed
19 numerous additional analyses of the trial, but
20 these analyses were exploratory or post hoc and
21 unconvincing. Because it was again clear on face
22 that the application could not be approved based on

1 the data submitted, we again refused to file the
2 application.

3 Soon after this decision, we held a meeting
4 with the applicant to discuss the concerns with the
5 application. After careful reconsideration of the
6 applicant's various arguments, we discussed with
7 the applicant the need for an additional study to
8 support the hypothesis-generating findings of
9 Study 007 and 020. We indicated a willingness to
10 work closely with the applicant on the design of
11 such a study.

12 After receiving this guidance, the applicant
13 appealed the decision to file the application with
14 a second Formal Dispute Resolution Request. As
15 part of the appeal process, an additional meeting
16 with the applicant was held to discuss the
17 substance of the appeal. After considering the
18 applicant's arguments, the appeal was denied, and
19 the decision to refuse to file the application was
20 again upheld.

21 In the denial of the appeal, the applicant
22 was strongly advised that the most efficient path

1 forward, as previously communicated, was the prompt
2 conduct of another trial. Following the receipt of
3 this advice, the sponsor opted to file the
4 application over the agency's protest, and our
5 review of the application has been ongoing.

6 Today, you will hear presentations from
7 various members of the review team outlining our
8 concerns with this application that relate to the
9 evidence intended by the applicant to support the
10 effectiveness of ataluren.

11 Dr. Bob Temple, who is the deputy center
12 director for clinical science, of the Center for
13 Drug Evaluation and Research, and the acting deputy
14 director of the Office of Drug Evaluation I, will
15 discuss the issues associated with the
16 interpretability of exploratory assessments of
17 multiple endpoints and subgroups in clinical trials
18 and the need to prospectively test hypotheses
19 formed on the basis of such subgroup analyses from
20 failed clinical trials.

21 Dr. Veneeta Tandon, a clinical reviewer in
22 the Division of Neurology Products, will discuss

1 efficacy considerations related to Studies 007 and
2 020, including concerns about the inverted U-shaped
3 dose response seen in Study 007, with the high dose
4 performing similarly to placebo; a discussion of
5 the exploratory analyses of both studies that led
6 to our decisions to refuse to file the application;
7 a review of the patient populations evaluated in
8 each study, including the applicant's derivation of
9 a post hoc subgroup of Study 007, the so-called
10 ambulatory decline-phase population, and its use to
11 enrich Study 020; and a discussion of the
12 applicant's assertion that this enrichment strategy
13 did not succeed, with a presentation of an FDA
14 analysis that suggests that this was not an
15 explanation for the study's failure.

16 Dr. Xiang Ling, a statistical reviewer in
17 the Office of Biostatistics, will discuss details
18 concerning the results of the two failed studies,
19 including a discussion of the 300 to 400 meter
20 baseline 6-minute walk distance exploratory
21 subgroup that became the focus of the applicant
22 after Study 020 did not meet its primary endpoint

1 and the difficulties in interpreting the
2 exploratory findings presented by the applicant.

3 Dr. Tandon will return and offer additional
4 thoughts on the interpretability of the 300 to
5 400 meter subgroup with regards to other factors in
6 addition to 6-minute walk distance that can
7 influence prognosis, and how the evolving science
8 regarding how best to use prognostic factors to
9 predict disease progression in DMD trials
10 reinforces the importance of testing seemingly
11 logical exploratory findings in a prospective
12 fashion. She will also discuss our concerns
13 regarding additional post hoc supportive analyses
14 and observations that have been offered by the
15 applicant.

16 Finally, she will comment on the highly
17 relevant experience with ataluren for the treatment
18 of nonsense mutation cystic fibrosis and its
19 important lessons for the nonsense mutation DMD
20 experience.

21 Dr. Atul Bhattaram, Dr. Ash Rao, and
22 Dr. Jim Weaver, from the Offices of Clinical

1 Pharmacology and Biotechnology Products, will
2 provide an integrated discussion of FDA's
3 analytical and methodological concerns regarding
4 the applicant's explanation for the inverted
5 U-shaped pattern of 6-minute walk distance in
6 Study 007, in which the high dose of ataluren had
7 results essentially indistinguishable from placebo.

8 These considerations are critical, as such
9 an inverted U-shaped pattern of efficacy is
10 extremely unusual for drugs that are known to be
11 effective. Integrated into this discussion will be
12 the conclusions reached by the team that the
13 dystrophin data that have been submitted with this
14 application are not interpretable due to a number
15 of methodological shortcomings.

16 Dr. Nick Kozauer, a team leader in the
17 Division of Neurology Products, will provide a
18 summary of our findings to conclude the agency's
19 presentation.

20 A few additional points merit specific
21 mention. First, and perhaps most importantly, it
22 is important to keep in mind at all times what we

1 are and are not taking issue with. We are not
2 arguing about the numbers. We are not here today
3 to endorse or rebut the magnitude of reported
4 change, the meaningfulness of a given observation,
5 or the pattern of effects observed on a panel of
6 exploratory endpoints.

7 Our concern is much more fundamental and
8 regards the basics of the scientific method - the
9 need to formulate hypotheses, rigorously gather
10 data to prospectively test the predictions based on
11 the hypotheses, and if the data suggest a need to
12 alter the hypotheses, do so, and then rigorously
13 test again.

14 We have no concern with identifying
15 promising patterns via exploratory analyses. This
16 is the essence of scientific discovery and is
17 something to be encouraged. What should be
18 approached with great caution is the tendency to
19 draw conclusions when the data suggest a need to
20 alter the hypothesis and test again.

21 You will hear from us no discouragement
22 regarding a hopeful interpretation of the

1 applicant's observations thus far, and we have
2 offered to work closely with the applicant to plan
3 an efficient and rigorous prospective evaluation of
4 the applicant's revised hypothesis in a
5 well-designed clinical trial.

6 Indeed, we commend the applicant for taking
7 such a thoughtful approach to exploring Study 007
8 and enriching Study 020 based on those
9 explorations. This a very sensible approach. It
10 is unfortunate that Study 020 was unsuccessful, but
11 it, in turn, has now provided the opportunity for
12 additional thoughtful explorations that may serve
13 as the basis for enrichment of a future study.

14 You will hear today many lines of reasoning
15 and argument that may seem compellingly supportive
16 of ataluren's efficacy, but it is essential to
17 recognize that these findings are observations that
18 require prospective evaluation. We do not believe
19 they are sufficiently interpretable or persuasive
20 without further testing.

21 On a related note, it is likely that you
22 will hear many assertions of statistical

1 significance today. Statistical significance may
2 be achieved when a prospectively identified outcome
3 is tested according to a rigorously defined
4 pre-specified analysis plan.

5 In the setting of multiple analyses, and
6 especially after the primary analysis has failed,
7 all other comparisons that reach p-values under
8 0.05 are usually described as nominally
9 significant. In this situation, there is potential
10 for an increased false positive rate.

11 It is important to remember that nominal
12 significance represents significance in name only
13 without adjusting for multiple comparisons
14 involving multiple endpoints and multiple doses.
15 In fact, no result you will hear today is
16 statistically significant. Both studies failed on
17 their primary outcome, and all other observations
18 are exploratory and only capable of achieving
19 nominal significance.

20 I mentioned previously that Dr. Tandon will
21 be discussing the experience with ataluren in
22 nonsense mutation cystic fibrosis, and I will offer

1 a few comments in this regard, as this related
2 development program is illuminating.

3 An initial clinical study in nonsense
4 mutation cystic fibrosis failed on its primary
5 analysis. Despite this failure, the applicant
6 reported trends favoring ataluren on various
7 analyses. The applicant then reported positive
8 findings from a post hoc analysis that excluded
9 patients taking aminoglycoside antibiotics, and
10 then offered a mechanistic explanation that
11 aminoglycoside antibiotics interfered with the
12 activity of ataluren.

13 A second larger clinical study was conducted
14 that enrolled only subjects with nonsense mutation
15 cystic fibrosis who were not taking aminoglycoside
16 antibiotics. In March of this year, the applicant
17 announced that this second study failed. Further
18 development in nonsense mutation cystic fibrosis
19 was discontinued.

20 The parallels with the nonsense mutation DMD
21 program are striking: a failed initial study;
22 post hoc identification of a promising subgroup

1 accompanied by a seemingly reasonable explanation
2 for why that subgroup should derive unique benefit;
3 and a second study designed to evaluate that
4 subgroup of interest that failed.

5 These results remind us that hypotheses
6 derived from exploratory analyses of negative
7 trials, even when they appear to be supported by
8 seemingly logical and plausible explanations, may
9 often be misleading and need to be prospectively
10 tested.

11 In sum, the applicant has presented
12 observations resulting from numerous exploratory
13 analyses of two failed trials. It is notable that
14 the exploratory subgroup the applicant identified
15 in Study 007 to inform the enriched design of
16 Study 020 is not the subgroup of interest now
17 proposed by the applicant. The applicant has
18 identified a new subgroup of interest in Study 020,
19 a subgroup for which seemingly plausible
20 explanations will be offered.

21 As you will hear, the applicant has
22 attempted to buttress the new subgroup observations

1 in Study 020 by returning to Study 007 and
2 examining this newly-defined subgroup there, a
3 somewhat circular pattern of support.

4 It is also notable that in 2011 the
5 applicant argued, just as strongly as it does now
6 in the current application, that the exploratory
7 results presented at that time were compelling, but
8 we now know that Study 020 did not support those
9 exploratory findings from Study 007.

10 As is obvious in our previous extensively
11 documented opinions, we believe an additional study
12 to support the hypothesis-generating findings of
13 those two studies is needed. This issue is not
14 simply statistical. It is a fundamental concern
15 about the interpretability and persuasiveness of
16 exploratory observations and the importance of
17 experimental design.

18 None of this is to say that the applicant
19 has not presented thoughtful work that has
20 identified potentially promising trends in the
21 data, and I must reiterate my previous comments
22 congratulating the applicant on the conduct of

1 Study 020 and our offer to work closely with the
2 applicant on a subsequent study.

3 Indeed, we are pleased to see that the
4 applicant has recently initiated recruitment into
5 an additional long-term trial, Study 041, that is
6 informed by the information gleaned from Study 007
7 and 020.

8 It is also notable that the enrollment
9 criteria for Study 041 have been even further
10 refined from those used for the exploratory
11 analyses of Study 020, which speaks to the
12 continually evolving nature of the understanding of
13 how best to enrich clinical trials in DMD.

14 Because this application is being reviewed
15 under the filing over protest provisions, there are
16 some unusual aspects to its regulatory history.
17 Our careful previous consideration of the data and
18 issues, prior to and upon submission, resulted in
19 definitive conclusions being reached on multiple
20 occasions about the approvability of this
21 application, and those conclusions have been
22 clearly documented.

1 Nonetheless, after being filed over protest,
2 we have been committed to a complete and fair
3 review, as we always are. The review team has
4 worked hard and carefully to consider, with a fresh
5 eye and an open mind, the arguments in the
6 application and as is evident by the presentations
7 you will hear today, we continue to have
8 significant concerns regarding the strength of the
9 data in the application.

10 Given the importance of these fundamental
11 issues related to the need for a scientifically
12 rigorous approach to the interpretation of trial
13 data, we believe that it is important for the
14 committee to discuss this matter, and we have thus
15 convened this meeting today. We look forward to
16 your comments.

17 I conclude by offering quotations from two
18 widely separated eras. The first comes from a
19 series of articles in the New England Journal of
20 Medicine called, "The Changing Face of Clinical
21 Trials," that was inaugurated in June of 2016.
22 This series deals with contemporary challenges in

1 the design, performance, and interpretation of
2 clinical trials.

3 Amongst the many excellent articles the
4 series has already offered is one from September of
5 2016 by authors Dr. Stuart Pocock and Dr. Greg
6 Stone entitled, *The Primary Outcome Fails, What
7 Next?*

8 Within that article, when answering the
9 question, "Do subgroup findings elicit positive
10 signals?" the authors state: "Although it is
11 appropriate to consider subgroup findings in any
12 major trial, for a trial in which the overall
13 result for the primary outcome is neutral or
14 negative, such considerations are often misleading,
15 since the potential for harm is often implied for
16 the partner subgroups.

17 "Such qualitative interactions are rarely
18 plausible unless a strong mechanistic underpinning
19 is present, and the analyses are typically not
20 adjusted for multiple comparisons. Even if the
21 findings from statistical tests of interaction are
22 significant, such findings should usually be

1 perceived as useful for generating hypotheses at
2 best.

3 "Indeed, we find it hard to think of an
4 example in which an apparent benefit in a subgroup
5 in a trial with a negative outcome has led to
6 confirmation in a subsequent trial."

7 With regard to the question in the article,
8 "Do secondary outcomes reveal positive findings?"
9 the authors state: "If the primary outcome is
10 negative, positive findings for secondary outcomes
11 are usually considered to be hypothesis
12 generating."

13 The second quotation comes from
14 Andreas Vesalius, the founder of modern human
15 anatomy, and one of the most important early
16 champions of empiricism in medicine, and dates from
17 the 16th century. In his "Epistle on the China
18 Root," he states: "I am not accustomed to saying
19 anything with certainty after only one or two
20 observations."

21 Thank you for the substantial efforts you
22 have made in preparing for and attending this

1 meeting, and thank you for the important work you
2 will do today. Dr. Alexander, thank you for the
3 time to offer my comments. I return the
4 proceedings to you.

5 DR. ALEXANDER: Thank you very much. We'll
6 move to the applicant presentations in just a
7 minute, but I wanted to give Dr. Onyike an
8 opportunity to introduce himself.

9 DR. ONYIKE: Yes, I'm Chiad Onyike,
10 associate professor of psychiatry at Johns Hopkins
11 University.

12 DR. ALEXANDER: Thank you for joining us.

13 Both the Food and Drug Administration and
14 the public believe in a transparent process for
15 information gathering and decision making. To
16 ensure such transparency at the advisory committee
17 meeting, the FDA believes that it is important to
18 understand the context of an individual's
19 presentation.

20 For this reason, FDA encourages all
21 participants, including the sponsor's non-employee
22 presenters, to advise the committee of any

1 financial relationships that they may have with the
2 firm at issue, such as consulting fees, travel
3 expenses, honoraria, and interests in the sponsor,
4 including equity interests and those based upon the
5 outcome of the meeting.

6 Likewise, FDA encourages you at the
7 beginning of your presentation to advise the
8 committee if you do not have such financial
9 relationships. If you choose not to address this
10 issue of financial relationships at the beginning
11 of your presentation, it will not preclude you from
12 speaking.

13 We now proceed with PTC Therapeutics
14 presentations.

15 **Applicant Presentation - Murad Husain**

16 DR. HUSAIN: Members of the advisory
17 committee, FDA, good morning. I am Murad Husain,
18 senior vice president of regulatory affairs at PTC
19 Therapeutics.

20 PTC began its journey to find treatments for
21 Duchenne's muscular dystrophy almost two decades
22 ago when Dr. Stuart Peltz, our CEO, founded the

1 company based on his research in RNA biology. We
2 wouldn't have come this far without the support of
3 many others. We thank each one of the over 400
4 patients and their families who took part in our
5 DMD studies of ataluren, many of whom are here
6 today to share their experience.

7 We would also like to thank the hundreds of
8 healthcare professionals who helped design and
9 conduct our clinical studies. We thank you,
10 members of the advisory committee, for listening to
11 our presentation with an open mind and weighing the
12 questions posed by FDA based on your clinical and
13 scientific judgment.

14 Nonsense mutation DMD is a rare,
15 progressive, genetic disease that leads to
16 cumulative irreversible muscle loss resulting in
17 loss of ambulation and eventually early death.
18 There are approximately 1800 patients in the U.S.
19 with nonsense mutation DMD and only approximately
20 700 are able to walk. Unfortunately, patients with
21 nonsense mutations have no treatment options that
22 address the underlying cause of the disease.

1 We are here today because the FDA needs
2 advice about whether ataluren has sufficient data
3 to conclude that ataluren is effective. The FDA
4 has provided a balanced statistical review
5 highlighting both the evidence of effectiveness and
6 limitations of our application.

7 However, the clinical review has stated a
8 strict definition of statistical significance,
9 p-value of less than 0.05 for the primary endpoint,
10 suggesting that the inability to achieve this level
11 of statistical significance alone should preclude
12 approval. For this, FDA is holding ataluren to a
13 different standard than prior NDA reviews for rare
14 diseases.

15 FDA's interpretation requests clinical
16 context in light of Duchenne's natural history,
17 which has evolved during the development of
18 ataluren. The persuasiveness of the efficacy and
19 safety data must consider the knowledge about the
20 non-linear disease trajectory, the unmet medical
21 need, and the rarity of the disease.

22 Ataluren's benefit-risk is positive. You

1 will see during the course of this presentation
2 that multiple lines of evidence support the ability
3 of ataluren to product dystrophin, which was the
4 basis for prior accelerated approval. You will
5 also see the preservation of key functional
6 milestones, including slowed muscle function
7 decline, delayed loss of individual muscle
8 functions, preservation of both ambulation and
9 pulmonary function, and the safety profile is
10 favorable.

11 Starting with Study 004, we have
12 demonstrated the production of full length
13 dystrophin in both patient biopsies and cultured
14 myotubes in only 28 days of treatment.
15 Subsequently, Study 007 was the first specific
16 controlled study in DMD to use the 6-minute walk
17 test as an outcome. We learned the need to enrich
18 the patient population, but also saw consistency in
19 results in favor of ataluren 10, 10, 20 dose. We
20 also observed a bell-shaped dose concentration
21 response, which was subsequently confirmed in
22 animal studies.

1 Study 020 missed its primary endpoint based
2 on our failure to enrich the population as intended
3 from Study 007 learnings. It's important to
4 interpret the results using the clinical context of
5 the natural history. The results are compelling in
6 the prespecified transition phase of the disease,
7 as you will see later on in our presentation. In
8 addition, this trial demonstrated consistent
9 preservation of function in favor of ataluren
10 across multiple endpoints.

11 Patients transitioned to a long-term open
12 level extension study called Study 019. From this
13 study, we demonstrated preservation of pulmonary
14 function when compared to natural history.
15 Finally, we continue to study ataluren's benefit
16 with both post approval global registry and a new
17 long-term placebo-controlled trial. These data
18 supported approvals outside the United States.

19 We also have real world evidence of
20 ataluren's benefit. Ataluren is currently
21 available in more than 25 countries since the first
22 approval in Europe in 2014. The safety profile

1 continues to be favorable in more than 700
2 patient-years of exposure with about 95 percent
3 patient retention. The global registry collecting
4 real-world evidence has been established and
5 continues to enroll patients.

6 Our proposed indication is for the treatment
7 of dystrophinopathy resulting from a nonsense
8 mutation in the dystrophin gene. With this
9 background, let me share the agenda for today's
10 presentation.

11 Dr. Ellen Welch will present ataluren's
12 mechanism of action. Dr. Kevin Flanigan from
13 Nationwide Children's Hospital will provide an
14 overview of the disease and its natural history.
15 Next, Dr. Joe McIntosh will present the efficacy
16 and safety data supporting the positive
17 benefit-risk of ataluren. Lastly, Dr. Craig
18 McDonald from the University of California Davis
19 will conclude with his clinical perspective.

20 We also have additional experts with us
21 today. All external experts have been compensated
22 for the time and travel to today's meeting.

1 Thank you. I now turn the lectern to
2 Dr. Welch.

3 **Applicant Presentation - Ellen Welch**

4 DR. WELCH: Good morning. I'm Ellen Welch,
5 senior vice president of genetic disorders and
6 translational medicine at PTC. I've been working
7 in the area of nonsense suppression for more than
8 20 years, and today I'll review ataluren's
9 mechanism of action as a small molecule that
10 specifically enables readthrough at premature stop
11 codons, and I'll show you how ataluren produces
12 dystrophin.

13 So let me start by reviewing what a nonsense
14 mutation is. A nonsense mutation is a single point
15 alteration with DNA. Approximately 13 percent of
16 the DMD patient population have their disorder due
17 to the presence of a nonsense mutation. As a
18 consequence of that mutation, when DNA is
19 transcribed into RNA, as shown here, the protein
20 coding region is changed to introduce a premature
21 stop codon, indicated here by the orange stop sign.

22 The cellular machinery begins making protein

1 by decoding the mRNA three nucleotides at a time,
2 starting at the five prime end of the mRNA. When
3 the ribosome encounters a premature stop codon,
4 protein synthesis is interrupted before a
5 full-length protein can be synthesized, shown here
6 by the gray spheres. This is best thought of as
7 introducing a period in the middle of a sentence.

8 When ataluren is present, it interacts with
9 the ribosome, allows an amino acid to be
10 incorporated at the site of the premature stop
11 codon, indicated by the orange sphere. The
12 ribosome continues on to synthesize the rest of the
13 protein, honoring the normal termination codon to
14 produce a functional protein. Ataluren is specific
15 for premature stop codons and does not read through
16 normal termination codons.

17 Also, ataluren's mechanism of action is
18 distinct from exon-skipping drugs. Ataluren
19 exhibits a bell-shaped concentration response.
20 This property has been observed in several nonsense
21 mutation models, including myotubes derived from
22 DMD patients and mice.

1 Two examples are presented on this slide.
2 On the left-hand graph, myotube cultures derived
3 from 35 different nonsense mutation patients were
4 treated with increasing concentrations of ataluren
5 and monitored for the production of dystrophin. We
6 observed a bell-shaped concentration response.

7 We see a similar response in the myotube
8 cultures derived from nonsense mutation DMD mice in
9 the graph on the right. On average, the peak
10 readthrough activity is observed at similar
11 concentrations.

12 Ataluren's readthrough activity follows a
13 two-binding site model on the ribosome, similar to
14 other ribosome binding drugs such as
15 aminoglycosides. When the drug binds to the
16 high-affinity binding site, readthrough of the
17 premature stop codon in the dystrophin mRNA is
18 favored, and dystrophin is produced, highlighted in
19 dark blue.

20 In contrast, when both the high- and
21 low-affinity sites are occupied, translation
22 termination is favored and readthrough is reduced,

1 highlighted in the light blue region of the curve.
2 This is the mechanism behind the bell-shaped dose
3 response. Ataluren's ability to enable readthrough
4 at nonsense codons in dystrophin has been
5 demonstrated in multiple cell and animal models,
6 including zebrafish, mice, and humans.

7 Shown here are muscle tissues from the
8 nonsense mutation DMD mouse. After treatment with
9 ataluren for 28 days, dystrophin is produced and
10 correctly localized to the muscle membrane, as
11 shown by the green staining. The dystrophin
12 protein produced is functional and is able to
13 protect the muscle from injury.

14 Now I'll show you a similar experiment in
15 patient cells. In this ex vivo study, we grew
16 myotube cultures from pretreatment muscle biopsies
17 taken from patients who participated in our Proof
18 of Concept Study 004. We then treated with
19 ataluren, and used immunofluorescence to measure
20 spectrin and dystrophin proteins. Some of the
21 technical aspects of the image analysis are
22 depicted, including the use of spectrin to identify

1 the myotubes, as indicated by the gridlines in the
2 upper right.

3 In the lower right, full-length dystrophin
4 is clearly produced in the ataluren-treated
5 myotubes when compared to the untreated cells. All
6 nonsense mutation patients responded to ataluren
7 treatment in culture, independent of the premature
8 stop codon type or the location within the mRNA.

9 We've also demonstrated production of
10 dystrophin in muscle biopsies from patients exposed
11 to ataluren for 28 days in our Study 004, and
12 Dr. McIntosh will present these data later.

13 In summary, ataluren treatment enables
14 readthrough of nonsense codons to produce
15 functional dystrophin protein. Several studies
16 show that ataluren is specific to premature
17 termination codons and does not read through normal
18 termination codons.

19 Ataluren exhibits a bell-shaped
20 concentration response. The activity of ataluren
21 has been confirmed in many different nonsense
22 mutation models, and is supported by a large number

1 of independent public publications.

2 I'd now like to introduce Dr. Kevin
3 Flanigan, who will review the DMD natural history
4 and clinical endpoints.

5 **Applicant Presentation - Kevin Flanigan**

6 DR. FLANIGAN: Good morning. Thank you for
7 the opportunity to provide an overview of the unmet
8 medical need, the evolution of the natural history,
9 and clinical trial challenges for Duchenne's
10 muscular dystrophy.

11 My name is Kevin Flanigan, and I'm the
12 director of the Center for Gene Therapy and chief
13 of the Neuromuscular Division at Nationwide
14 Children's Hospital. I've treated patients with
15 Duchenne's muscular dystrophy for over two decades,
16 so I understand the urgency of gaining treatments
17 for this devastating disease.

18 Duchenne's muscular dystrophy is a
19 relentlessly progressive rare and ultimately fatal
20 childhood genetic disorder. DMD is characterized
21 by a decline in ambulatory function that rapidly
22 accelerates once a transitional threshold is

1 reached.

2 Duchenne's is always progressing, but when
3 assessed with the current clinical tools, we see
4 patients have a stable or improved measure of
5 ambulation when very young, followed by a
6 non-linear and rapidly progressing decline later
7 on. This leads to loss of ambulation around
8 13 years of age and premature death due to
9 respiratory and cardiac dysfunction.

10 DMD is caused by a lack of functional
11 dystrophin protein. Dystrophin is an essential
12 muscle cell protein that acts as a shock absorber,
13 protecting the muscle cell from load-induced
14 damage. Deficiency of dystrophin leads to damage
15 to the muscle cell membrane and progressive and
16 irreversible loss of muscle fibers. Eventually,
17 loss of skeletal muscle fibers, which are replaced
18 by fat, leads to rapid loss of muscle function.
19 Our ultimate treatment goal is to slow or stabilize
20 disease progression.

21 Patients with nonsense mutation DMD have an
22 absence of dystrophin. It's commonly accepted that

1 small amounts of functional dystrophin will predict
2 clinical benefit. This understanding comes from
3 published natural history studies, comparing to
4 rare cases where patients spontaneously produce
5 small amounts of dystrophin. Recently, the FDA
6 used small levels of dystrophin production as the
7 basis for accelerated approval.

8 While difficult to quantify, two predominant
9 methods are used to confirm the existence of
10 dystrophin. One of them is immunofluorescence,
11 which has been shown in multiple studies to be a
12 reliable and reproducible method to detect
13 dystrophin change. However, the exact relationship
14 between the level of dystrophin and muscle function
15 has not been determined.

16 Let me share an example of a patient with
17 this devastating disease. Here you see a young
18 boy, age 9. You can observe his rise from the
19 floor, which is compromised, taking him several
20 seconds to fully stand and requiring the use of
21 compensatory techniques, but he has a reasonable
22 reserve capacity in muscle strength function that

1 allows him to walk and ambulate in typical
2 day-to-day activities.

3 Here we see the same young man at age 17,
4 and we can observe the inevitable progression of
5 Duchenne's. Despite supportive treatment, his
6 muscle weakness has progressed. He can't sit up or
7 transfer between the bed and chair, or
8 independently perform even the most basic
9 activities of daily living. This is the common
10 disease progression for patients with DMD.

11 When he's placed back on the bed, you can
12 observe his knee and ankle contractures caused by
13 the immobility. At night, he unfortunately
14 requires respiratory assistance, due to weakness of
15 the diaphragm. The early need for mechanical
16 ventilation underlines the urgent need for any
17 treatment that can change disease progression.

18 This relentless progression of disease is
19 what I eventually see in all of my patients with
20 Duchenne's dystrophy. It's a devastating disease
21 for these boys and their families.

22 There's a sequence of important and

1 irreversible loss of functions in DMD. Early
2 manifestations include the loss of ability to rise
3 from the floor, loss of ability to climb stairs,
4 loss of ambulation, and late physical
5 manifestations leading to a requirement for
6 respiratory assistance.

7 These endpoints all measure different
8 aspects of disease progression. Age at the loss of
9 one milestone, such as loss of ambulation, is
10 prognostic for age of loss of subsequent
11 milestones. These changes help us to monitor and
12 measure disease progression in our patients over
13 their lifetimes.

14 One of the most important things we have
15 learned during the last several years is that the
16 rate of decline does not occur in a linear fashion
17 for each milestone. It's important to assess
18 treatments for DMD based on this overall
19 progression and imperative to recognize that even
20 small delays from one milestone to the next can be
21 dramatic in the life of a patient with Duchenne's.

22 Now let me discuss the sources of

1 independent data supporting this non-linear
2 decline, particularly in regards to the
3 6-minute walk test. When we analyze the trajectory
4 of patients using the 6-minute walk test, we can
5 see from recently published natural history studies
6 that patients can be grouped into phases.

7 Data published by Pane show patients with a
8 baseline 6-minute walk distance of greater than
9 400 meters tend to be stable over a one-year
10 period. As you can see from this graph, it may
11 take two years or more for an observable decline to
12 occur. This concept is also supported by other
13 independent published assessments.

14 Conversely, patients with baseline
15 6-minute walking distances of less than 300 meters
16 tend to decline rapidly and abruptly over a
17 one-year period, and we can fully appreciate the
18 biologic rationale of this rapid decline.

19 This graph shows the change in one-year
20 intervals in the 6-minute walk test versus the fat
21 infiltration, as measured by magnetic resonance
22 spectroscopy of the vastus lateralis muscle.

1 Patients remain stable over a long period of time,
2 shown by those patients above 400 meter
3 6-minute walking distance, during which the percent
4 of fat in muscle increases. When the fat fraction
5 reaches a limit of around 80 percent, which often
6 coincides with a 6-minute walking distance of 300
7 meters, patients tend to lose ambulation.

8 This schematic summarizes the insights
9 gained from natural history observations I just
10 described. This includes a stable phase, a
11 transition phase, and an accelerated decline phase,
12 linked to the patient's baseline 6-minute walk
13 distance.

14 Over a one-year period, patients in the
15 stable phase are unlikely to show change, whereas
16 patients in the accelerated decline phase are at
17 high risk of loss of ambulation. As a result, this
18 transition phase is the most sensitive phase to
19 assess change in the 6-minute walk distance in a
20 one-year period.

21 The description I just explained has been
22 previously acknowledged by the FDA in materials to

1 this committee. From eteplirsen's FDA briefing
2 book, there is acknowledgement of a sharp decline
3 in patients with baseline 6-minute walk distance of
4 under 300 meters. From drisapersen's FDA briefing
5 book, there is acknowledgement of the stability of
6 patients with baseline 6-minute walk distance of
7 greater than 400 meters.

8 This supports the need to use the subgroup
9 of patients with baselines between 300 and
10 400 meters to assess patients in one-year clinical
11 trials.

12 While the 6-minute walk test has been used
13 several times in recent clinical trials, it's
14 important to analyze other commonly used endpoints.
15 When patients remain ambulatory, muscle function
16 endpoints, like the timed functional test and the
17 North Star Ambulatory Assessment, provide
18 additional information on the clinical progression
19 of DMD patients. In fact, the FDA DMD guidance of
20 2014 recommended use of multiple endpoints to
21 evaluate efficacy, seeking to broaden evaluation of
22 clinical effects and measure change.

1 As discussed previously, progressive loss of
2 functions including the ability to perform tasks
3 and loss of pulmonary function are hallmarks of
4 DMD, and preserving such functions is key to any
5 treatment. Since the North Star is a key
6 instrument to assess the ability of patients to
7 perform different tasks, let me review that
8 instrument in more detail.

9 The North Star evaluates physical function
10 across 17 different measures, ranging from hopping
11 to the ability to stand. Each measure is given one
12 of three scores, with 2 being able to perform the
13 function, 1 being able to perform with difficulty,
14 and zero being complete loss of that given
15 function.

16 While the endpoint can be summarized in a
17 composite score, a new and more clinically
18 interpretable way to analyze this endpoint is to
19 assess the preservation of each function. Let me
20 share with you some natural history data for loss
21 of function as measured by this endpoint.

22 This chart shows the loss of function in one

1 year for patients in the largest North Star
2 data set derived in the U.K. The 17 functions are
3 listed on the left. As you can see, even in such a
4 short period of time, a large proportion of
5 functions are lost in DMD patients.

6 In summary, Duchenne's muscular dystrophy is
7 a devastating, relentlessly progressive disorder
8 that results in irreversible muscle loss and early
9 death. It's commonly accepted that an increase in
10 dystrophin leads to clinical benefit. The small
11 number of patients in the non-linear muscle
12 function decline make DMD difficult to study,
13 therefore, it's essential to consider the current
14 understanding of the natural history and all
15 available data.

16 Lastly, a critical treatment goal is to
17 preserve muscle function since loss is progressive
18 and irreversible.

19 Thank you. Dr. McIntosh will now discuss
20 ataluren's efficacy and safety data.

21 **Applicant Presentation - Joe McIntosh**

22 DR. McINTOSH: Good morning. My name is

1 Joe McIntosh, and I'm the senior vice president and
2 head of clinical development at PTC Therapeutics.
3 Today, I will discuss the evidence in support of
4 ataluren's efficacy.

5 As you have seen previously, the evidence of
6 ataluren's benefit comes from multiple studies. In
7 Study 004, we assessed dystrophin production in
8 patients. In Study 007, defined the dose of
9 ataluren and provided us with an understanding of
10 the need to enrich patients. This study also
11 showed a consistent effect of ataluren in the
12 selected dose across key endpoints.

13 Study 020 reinforced the consistency effect
14 across key endpoints and further highlighted the
15 need to interpret the results in light of natural
16 history. Lastly, our long-term open-label study,
17 Study 019, provides additional data on the
18 preservation of pulmonary function in
19 non-ambulatory patients.

20 The totality of these studies show evidence
21 of effectiveness. The many results in favor of
22 ataluren demonstrate patient benefit, which cannot

1 be attributed to chance.

2 Firstly, we see production of dystrophin.
3 Secondly, there's consistent results across two
4 randomized controlled trials from the four
5 endpoints with greater benefit in the subgroup of
6 patients who are in the transition phase.

7 Finally, ataluren shows preservation of
8 functional milestones. In particular, we see delay
9 in loss of individual muscle functions on the North
10 Star and preservation of loss of ambulation, as
11 well as pulmonary function.

12 Let me start with Study 004. Study 004
13 enrolled 38 patients and consisted of three dose
14 cohorts. Patients who were treated with ataluren
15 for 28 days, and then were followed up for an
16 additional 28 days. Plasma samples were obtained
17 at day 1 and day 28 to determine drug
18 concentrations.

19 To measure dystrophin production, analysis
20 was performed on muscle biopsies. For these
21 biopsies, the entire extensor digitorum brevis
22 muscle was obtained from one foot during the

1 pretreatment period and from another foot on
2 day 28.

3 We assessed dystrophin with three
4 independent methods: direct quantification and
5 qualitative assessment to find
6 immunohistochemistry, as well as ex vivo expression
7 in cultured myotubes.

8 The mean change from baseline in dystrophin
9 expression was 11 percent after 28 days of ataluren
10 treatment, with 61 percent of patients showing some
11 increase in dystrophin level. Importantly, the
12 method used for quantification were standardized.

13 In addition, a qualitative assessment
14 defined as the concordance of increase in
15 dystrophin staining by at least 2 out of 3 blinded
16 readers, this assessment showed an increase in
17 dystrophin in 34 percent of patients. Importantly,
18 the biopsy also demonstrated that dystrophin was
19 produced and correctly located in the cell
20 membrane.

21 We also conducted an ex vivo assessment
22 where myotubes were cultivated from each biopsy.

1 In this assessment, all samples showed increase in
2 dystrophin production, as highlighted by Dr. Welch.

3 While Study 004 did demonstrate production
4 of dystrophin, the sample size was insufficient to
5 discern a dose-response relationship. Primarily,
6 because 9 of the 12 patients receiving the high
7 dose were in the dose concentration selected for
8 the 10,10,20 milligram dose as shown here.

9 We later determined that 19.3 micrograms per
10 milliliter is the upper bound at which exposure is
11 associated with optimum readthrough, as highlighted
12 in gray. This overlapping exposure is why
13 dystrophin responses were absorbed in all three
14 doses and a clear dose response was not observed in
15 this study.

16 The results of Study 004 are important for
17 two reasons. Study 004 shows proof of dystrophin
18 production in a short a period as 28 days in
19 nonsense mutation DMD patients, and the FDA has
20 approved another therapy for Duchenne's based
21 solely on dystrophin production.

22 Let me now move to the randomized controlled

1 trial, beginning with Study 007. This was the
2 first randomized, placebo-controlled study in
3 nonsense mutation DMD. It assessed two dose
4 regimes of ataluren. The study enrolled a broad
5 patient population, as you can see from the
6 eligibility criteria.

7 Patients were followed over a 48-week
8 period. Data on multiple clinical endpoints were
9 gathered, including the primary endpoint, which is
10 the 6-minute walk test as well as the timed
11 function tests.

12 Here we show the change from baseline over
13 48 weeks for the ITT population. There was no
14 difference for placebo, shown in orange, compared
15 to the high dose shown in gray, consistent with
16 ataluren's bell-shaped concentration relationship.
17 However, there was a difference of 26 meters in
18 favor of ataluren with the 10,10,20 milligram dose
19 shown in blue, compared to placebo at week 28.
20 Early separation was seen and maintained throughout
21 the study duration.

22 The timed function test endpoints, including

1 the 10 meter walk/run, 4-stair climb, and 4-stair
2 descent, which are muscle function tests normally
3 performed in 5 to 8 seconds, these numerical
4 changes in favor of ataluren were seen across these
5 endpoints.

6 Effect sizes ranged from 1 second in the
7 10 meter walk/run to 2.4 seconds for stair climb.
8 This translates to approximately 20 to 40 percent
9 preservation of function.

10 To put these results into perspective,
11 corticosteroid studies in DMD have shown that a
12 1.5 second change correlates to a benefit of
13 maintaining ambulation for indicial 3.5 years.
14 Based on these results, we conducted Study 020.

15 Study 020 was a 48 week randomized,
16 placebo-controlled trial to assess the benefit of
17 ataluren at the 10,10,20 milligram dose. In 2011,
18 after Study 007, there was an understanding for the
19 need to enrich the patients when using
20 6-minute walk test. This led us to use the
21 eligibility criteria for baseline 6-minute walk
22 distance between 150 and 80 percent predicted for

1 age and height.

2 This slide shows the range of baseline
3 6-minute walk test in distances in two studies.
4 Study 007 enrolled a broad heterogeneous population
5 with a baseline 6-minute walking distance ranging
6 between 75 and 533 meters. The distribution
7 quartiles are shown here.

8 The FDA assert that Study 020 was
9 successfully enriched. However, the strategy used
10 for Study 020 did not enrich for the desired
11 population. Importantly, the population
12 distribution shows the same proportion of patients
13 with a baseline 6-minute walk distance above
14 400 meters compared to Study 007, instead of
15 limiting the number of stable patients as intended.

16 We did prespecify the three to 400 meter
17 subgroup for analysis in an effort to assess
18 patients in the transition phase. So let's look at
19 the results.

20 Here you see the change in 6-minute walk
21 test distance over a 48 week period in the ITT
22 population. The results were numerically in favor

1 of ataluren, with an overall difference of
2 13 meters at week 48. In addition, we saw a
3 benefit in favor of ataluren-treated patients
4 across three timed function tests. These results
5 are similar to those seen in Study 007.

6 The positive benefit seen across key
7 outcomes, over two well-controlled placebo studies
8 is part of the compelling evidence. This
9 consistency in favor of ataluren adds to the body
10 evidence of effectiveness.

11 As Dr. Flanigan explained, each endpoint
12 measures different aspects of the disease course.
13 The probability that all these endpoints would
14 favor ataluren by chance is less than 1 percent.

15 We conducted a meta-analysis to provide
16 additional supportive data and provide a better
17 estimate of the treatment effect in a larger
18 heterogeneous patient population. When combining
19 both studies, we see a positive benefit in favor of
20 ataluren across the 6-minute walk test in each of
21 the timed function tests.

22 I will now review the 6-minute walk data in

1 light of the natural history described by
2 Dr. Flanigan.

3 We now understand that for clinical trials
4 over one-year duration, it is important to assess
5 the change in 6-minute walk distance for patients
6 with a baseline between 300 to 400 meters,
7 representing the transition phase. We prespecified
8 this group in Study 020 and have retrospectively
9 analyzed Study 007 in light of this new
10 understanding.

11 In both Study 007 and 020, this group
12 represents about 40 percent of all enrolled
13 patients, making this group sufficiently large to
14 interpret the results. Importantly, baseline
15 demographics were balanced for these groups.

16 Here are the findings. The effect of
17 ataluren when analyzed using the understanding of
18 natural history is consistent across both studies,
19 resulting in a difference of more than 40 meters.

20 Different from the FDA's clinical reviewer's
21 interpretation, the results in Study 007 subgroup
22 were driven by the 75 percent of patients on

1 steroids who demonstrated a response in excess of
2 30 meters in favor of ataluren, and not by the
3 small number of patients who were not receiving
4 steroids.

5 Looking across the three key timed function
6 tests, we see even more robust results of up to
7 4.4 seconds, consistent across endpoints and
8 trials. The effect size for each of these
9 endpoints is well and above the 1.15 second
10 improvement, which is regarded as clinical
11 meaningful.

12 I will now review the ability of ataluren to
13 preserve functional milestones. The loss of
14 milestone has substantial impact on patients and
15 their families, as they are progressive and in many
16 cases, irreversible. Therefore, preserving these
17 functions as long as possible is extremely
18 important.

19 Preservation of loss of ambulation is of
20 paramount importance for patients. In Study 007
21 and Study 020, the incidence of loss of ambulation
22 was smaller in ataluren-treated patients when

1 compared to placebo. As expected from the natural
2 history, patients with a 6-minute walk test greater
3 than 400 meters did not lose ambulation in a
4 one-year period.

5 Of those Duchenne's patients in the
6 transition phase, no ataluren-treated patients lost
7 ambulation, whereas, 8 to 9 percent of
8 placebo-treated patients lost the ability to walk.
9 In the accelerated decline phase, patients with a
10 baseline 6-minute walk test of less than less than
11 300 meters, shown on the right, loss of ambulation
12 is frequent, and once more we see numerical benefit
13 in favor of ataluren-treated patients.

14 While loss of ambulation is arguably the
15 most important milestone for an ambulatory DMD
16 patient, every function is intrinsically important.
17 The ability to climb and descend stairs has been
18 historically assessed in the clinic due to its
19 importance in daily activities for patients.

20 On the left, we see loss of ability to climb
21 4 stairs. On the right is loss of 4 stair descent.
22 In both Study 007 and 020, ataluren-treated

1 patients demonstrated greater preservation in stair
2 climb and stair descent, compared to placebo.

3 As Dr. Flanigan discussed, the North Star is
4 more clinically interpretable when evaluating the
5 percentage of patients who have lost any of their
6 17 functions. Here you see all of the functions
7 for placebo patients in Study 020. These data are
8 consistent to the national history data presented
9 earlier today by Dr. Flanigan.

10 As you see, about 20 percent of functions
11 present at baseline are lost in a one-year period.
12 When comparing the placebo to ataluren-treated
13 patients in blue, it is evident that
14 ataluren-treated patients experience preservation
15 of 15 out of the 17 functions, representing a
16 31 percent reduction in risk of functional loss.

17 These data suggest that ataluren can
18 preserve functions such as running, jumping,
19 hopping, which are meaningful to patients. Lastly,
20 the ability to maintain respiratory function is
21 directly linked to survival.

22 I will now show you a natural history

1 comparison from our long-term open-label study,
2 Study 019. In this chart, you see the distribution
3 of forced vital capacity in DMD patients in a
4 cohort from the CINRG study, contemporaneous to
5 those in Study 019.

6 There are two important points noted here.
7 One is the age at which patients start declining,
8 and the second is the overall decline. As you can
9 see, this cohort of patients start to decline at
10 12.5 years.

11 When this matched cohort is compared
12 directly with patients in Study 019, we see the
13 decline phase is delayed by four years. In
14 addition, Study 019 shows preservation of lung
15 function by 13.8 percent compared to those of the
16 same age in the CINRG natural history control arm.

17 Delaying respiratory decline is associated
18 with delayed time to mechanical ventilation and
19 reduced risk of death, demonstrating the importance
20 of these results.

21 To conclude, the totality of data from
22 Studies 004, 007, 020, and 019 enable

1 interpretation of ataluren's efficacy. Together,
2 these data provide evidence of effectiveness.

3 Study 004 showed production of dystrophin in
4 patients. Study 007 and 020 demonstrated
5 consistency of results across key muscle function
6 tests. In addition, when interpreted in light of
7 the current understanding of natural history, both
8 studies showed a larger difference in patients in
9 the transition phase.

10 Furthermore, the meta-analysis allows us to
11 overcome some of the issues associated with
12 heterogeneity, including those patients in the
13 stable phase, and show additional benefit. Lastly,
14 preservation of functional milestones was observed
15 across multiple measures, which is of critical
16 importance to patients.

17 We ask you to consider the totality of data
18 when evaluating ataluren's benefit in this
19 devastating disorder that lacks current treatment
20 alternatives.

21 I now will briefly present the safety data
22 from the two placebo-controlled studies.

1 Ataluren's clinical trials have generated one of
2 the largest and most comprehensive safety databases
3 of DMD therapies. Our database include 445
4 patients with DMD treated with ataluren, of which
5 389 have been treated for more than 48 weeks.

6 In the two placebo-controlled studies,
7 observed adverse events were mostly mild to
8 moderate in severity and occurred at a similar
9 frequency to that of placebo. Overall, incidence
10 of serious adverse events and AEs leading to
11 discontinuation was low in both trials with an
12 incidence being equal to or less than the incidence
13 seen in placebo.

14 Some of the most frequently reported adverse
15 events include mild GI disturbance as well as
16 common symptoms of pediatric illness, such as
17 nasopharyngitis. The adverse events were also
18 observed in placebo-treated patients. More in
19 depth information on safety is provided in our
20 briefing book.

21 Based on the view of safety data, we
22 conclude that ataluren was well-tolerated and has

1 demonstrated a favorable safety profile for this
2 devastating disease. The overall safety profile in
3 ataluren patients was comparable to placebo. Most
4 adverse events were mild in severity, and there was
5 a low incidence of serious adverse events.

6 Additionally, no new risks have been
7 identified from long-term treatment of ataluren or
8 from postmarketing data, supporting the long-term
9 safety of ataluren for this rare and universally
10 fatal condition.

11 Let me now turn to Dr. Craig McDonald to
12 provide his clinical perspective.

13 **Applicant Presentation - Craig McDonald**

14 DR. McDONALD: Thank you. My name is Craig
15 McDonald. I am the director of the Neuromuscular
16 Medicine Research Center at the University of
17 California Davis. Over the past 25 years, I've
18 been involved in the treatment of over 800 patients
19 with Duchenne's. Sadly, the majority of these
20 patients are no longer with us.

21 I've been a principal investigator on
22 industry sponsored clinical trials in Duchenne's

1 for multiple companies. I'm also the director of
2 the Cooperative International Neuromuscular
3 Research Group, CINRG, Duchenne's Natural History
4 Study, funded by the federal government and patient
5 organizations.

6 During the next few minutes, I would like to
7 highlight my perspective on the needs of patients
8 in relation to the data presented today. Nonsense
9 mutation DMD patients are in urgent need of
10 effective and safe therapies. The disease is
11 relentlessly progressive as you've seen from
12 Dr. Flanigan and Dr. McIntosh's presentations.

13 Even in a one-year period, there is
14 substantial loss of function. Time is of the
15 essence. Interventions are needed now to help DMD
16 boys and young men preserve muscle and respiratory
17 function in order to extend their quality and
18 duration of life.

19 Loss of function is sequential in Duchenne's
20 muscular dystrophy. We know loss of ambulation is
21 overwhelming for patients and their families. It's
22 a watershed event in their lives. Duchenne's

1 natural history data show that age at loss of
2 ambulation predicts the age at subsequent loss of
3 upper limb function and the age at need for
4 mechanical ventilation.

5 As you've seen today, ataluren slows the
6 progression of disease as observed by the
7 6-minute walk test, timed function tests, and North
8 Star Ambulatory Assessment, all of which are
9 predictive of loss of ambulation.

10 Delaying loss of ambulation with ataluren is
11 expected to lead to delays in subsequent loss of
12 function, including loss of upper limb function and
13 delayed time to needing mechanical ventilation,
14 which are directly linked to quality and duration
15 of life.

16 Delaying disease progression allows a
17 patient longer autonomy, which is a patient's and
18 parent's main hope. The ataluren data are
19 remarkable in their consistency. If we only look
20 at the four key muscle function endpoints from the
21 two randomized controlled trials, we see that all
22 favor ataluren.

1 An integrated analysis shows that the
2 likelihood of this result being due to chance alone
3 is 0.8 percent. This aligns with the
4 statistician's analysis from the FDA's briefing
5 book where they state that there is a possible
6 signal of treatment effect.

7 We also see that ataluren patients from both
8 Study 007 and Study 020 were less likely to lose
9 ambulation. This further reinforces evidence of
10 efficacy, reducing the possibility that this is a
11 chance finding.

12 If we next consider the NSAA loss of
13 function, we see that 15 of 17 measures favor
14 ataluren. Again, we see further evidence of
15 efficacy continuing to diminish the likelihood for
16 a chance finding.

17 Finally, we have evidence supporting a
18 dramatic delay in pulmonary decline for
19 ataluren-treated patients when compared to
20 historical control data. When calculating the
21 probability for these consistent treatment
22 benefits, we can conclude there is substantial

1 evidence of efficacy for this devastating disease.
2 The totality of the data supports a treatment
3 benefit with ataluren.

4 Let me show you what access to ataluren will
5 mean to patients. As Dr. McIntosh showed earlier,
6 it's encouraging to see the ability of ataluren to
7 preserve pulmonary function, since this has been
8 directly linked to mortality.

9 The critical threshold of a 1-liter absolute
10 forced vital capacity has been shown in the CINRG
11 database to be associated with a four-fold increase
12 in mortality over time, when controlling for age.
13 In fact, when we compare the critical milestone of
14 reaching a 1-liter forced vital capacity for
15 patients in Study 019, to those in a similar cohort
16 in CINRG, we see a demonstrable benefit in terms of
17 ataluren.

18 By age 19, approximately 50 percent of the
19 CINRG cohort have reached a threshold FVC value
20 associated with an increased risk of death, whereas
21 only 15 percent of ataluren-treated patients have
22 progressed to this level of impairment. This

1 important result cannot be ignored since the risk
2 of death is 4 times greater for Duchenne's patients
3 progressing below a 1-liter forced vital capacity
4 in comparison to age matched patients not
5 progressing below this critical threshold.

6 Another meaningful measure of loss of
7 function important to patients is the North Star
8 Ambulatory Assessment. The FDA briefing document
9 states that a decline from a 2 to 1 score on the
10 NSAA is an equally valid clinical change compared
11 to a 1 to zero score change.

12 As clinicians treating Duchenne patients, we
13 know that these are actually different transitions.
14 The change for a North Star score of a 2 to a 1 may
15 actually be quite subtle for a Duchenne patient.

16 (Short video played.)

17 On the left, you will see a patient who has
18 transitioned from a score of 2 to 1 on the rise
19 from floor. He slowly pushes off the knee to
20 compensate, thus producing a score of 1, rather
21 than a score of 2. On the right is a patient who
22 has transitioned from a 1 to a zero score. You can

1 see the tremendous difficulty he has in rising from
2 the floor, and he is unable to accomplish the task.

3 Thus, the analysis of NSAA loss of function,
4 a shift to a zero score, that we recently published
5 in The Lancet, is an objective and clinically
6 meaningful hard endpoint. The transition from a 2
7 to a 1 score, proposed by the FDA to be equivalent,
8 does not carry the same clinical meaningfulness.

9 The 31 percent reduction in loss of function
10 with the NSAA is important to patients. The
11 evidence of ataluren effectiveness for preservation
12 of function needs to be considered in light of the
13 known natural history.

14 Based on the NSAA data, the placebo arm of
15 Study 020 is representative of the expected
16 functional decline in Duchenne patients treated
17 with steroids who don't have access to ataluren.
18 This is confirmed by the United Kingdom North Star
19 network data on 514 patients presented earlier by
20 Dr. Flanigan.

21 We can see remarkable consistency in the
22 data between these groups. Therefore, we would

1 expect a significant percentage of DMD patients to
2 lose functions each year. The need is urgent,
3 because ataluren provides preservation of NSAA
4 functions.

5 Here is a plot of cumulative NSAA functions
6 over a one-year period as independently assessed by
7 Professor LJ Wei from Harvard. On average, placebo
8 patients lose significantly more functions than
9 ataluren-treated patients. We see a clear early
10 separation in risk reduction for functional loss,
11 which increases over time.

12 Our patients and their families can't afford
13 to wait for additional data when the totality of
14 evidence already shows that ataluren is effective.
15 Any delay in access will result in unnecessary loss
16 of function.

17 Today, I'm not here representing myself, but
18 the voice of all the patients I've cared for
19 throughout the years. This day marks an important
20 opportunity to continue to advance the treatment
21 landscape in DMD.

22 Ataluren demonstrated persuasive results in

1 the treatment of nonsense mutation DMD, a disease
2 that is complex to treat and to study. I urge you
3 to use best clinical and scientific judgment when
4 reflecting upon the question in front of you.

5 The FDA recently approved another drug for a
6 different rare subset of DMD patients based on
7 production of low levels of dystrophin, and I have
8 treated patients with this drug and continue to see
9 benefits. But most importantly, we have many lines
10 of clinical evidence demonstrating what we
11 ultimately need, delaying the loss of functional
12 milestones that are watershed events for patients.
13 I've had the privilege to offer ataluren to my
14 patients and have seen compelling results so far.

15 Additionally, we see a favorable safety
16 profile. Needing an opportunity to provide an
17 efficacious treatment far outweighs the possible
18 risk. The final decision you will make today
19 should be based upon informed clinical judgment and
20 not based on missing a primary endpoint. The data
21 are sufficient to conclude that ataluren is
22 effective and with minimal safety issues. There is

1 no reason to not make this treatment available to
2 our patients now. Thank you.

3 **Clarifying Questions**

4 DR. ALEXANDER: Thank you very much. We now
5 have some time for clarifying questions for PTC
6 Therapeutics. Please remember that all
7 participants from the panel, the FDA, and PTC
8 should state their name for the record before you
9 speak. And if you can, it's helpful if you direct
10 your questions to a specific presenter.

11 Dr. Green?

12 DR. GREEN: Was there any consistency in the
13 duration of steroid treatment? There was a slide
14 that -- I have to pull it out -- it said more than
15 6 months, but was there a consistent amount?

16 DR. McINTOSH: Sorry. Just for my
17 clarification, in terms of the question, you're
18 asking consistency in how steroids were used in the
19 study?

20 DR. GREEN: Well, and the duration of use,
21 and the timing of use.

22 DR. McINTOSH: Yes. We stratified by

1 steroid duration, so patients had to -- by 6 months
2 or more than 6 months, all patients had to be on
3 steroids for Study 020, and it was balanced, yes.

4 DR. ALEXANDER: Dr. Ovbiagele?

5 DR. OVBIAGELE: Yes, thank you. The
6 question I had was about the positive benefit seen
7 for ataluren comparing Study 007 versus Study 020.
8 When you look at the magnitude of the benefits,
9 since of course the primary outcome wasn't
10 significant for either one -- so we're looking at
11 the nominal and numerical benefits -- it does seem
12 as if it was greater for Study 007 than 020.
13 Study 007 was smaller and less selective.

14 Do you have an explanation for that, please?

15 DR. McINTOSH: Yes. When we look at the
16 timed function tests, we see remarkable consistency
17 across both studies. There are numerical
18 differences in the 6-minute walk data between
19 Study 020 and Study 030. That's really due to the
20 performance of the 6-minute walk test in the stable
21 patients and the unstable patients.

22 In Study 020, we didn't see effect in those

1 two subgroups in the transition zone, which is the
2 3[00] to 400 group, where we feel it's most
3 appropriate to find a drug effect in a one-year
4 study, we see consistency across studies.

5 DR. OVBIAGELE: No. What I was referring to
6 was when you just compare not just the
7 6-minute walking test, but actually all the
8 outcomes that you mentioned, the primary and the
9 secondary ones. Do you actually see the magnitude
10 of the effect?

11 Again, that's what we're looking at because,
12 again, neither study attained significance, but it
13 seems to be broad. I wondered, just to make sure
14 that we understand the plausibility of all of this,
15 what your thoughts were.

16 DR. McINTOSH: Yes. I think this slide here
17 best demonstrates a comparison of both studies.
18 This slide shows both studies, the 6-minute walk
19 test, 10 meter walk/run, 4-stair climb, and 4-stair
20 descent across both studies. In the timed function
21 tests, what we see is clear consistency with a
22 similar response across all of those timed function

1 tests. The 6-minute walk test, there is some
2 numerical difference, but the consistency is still
3 fairly striking.

4 If we move, as you rightfully said, the
5 timed function tests -- this is a plot comparing
6 the timed function tests across both studies, and
7 what we feel it shows is consistency for these
8 timed function tests in both studies.

9 DR. ALEXANDER: Thank you. Mr. Watkins?

10 MR. WATKINS: Yes. A question for
11 Dr. McDonald. You'd mentioned your observation of
12 your patients in response to a recently approved
13 drug for DMD, and then also your observations of
14 the response for ataluren.

15 Can you compare the two in your mind? Are
16 there similar benefits that you've observed in your
17 patient population, based on your observations?

18 DR. McINTOSH: Thank you.

19 DR. McDONALD: This is Craig McDonald from
20 the University of California. I think one
21 important point is that these are drugs that have
22 different mechanisms of action. We're seeing early

1 dystrophin levels at 28 days in ataluren. So I
2 think the possibility to actually show a treatment
3 effect in a one-year trial is actually there,
4 looking at the appropriate population.

5 I think really the totality of evidence and
6 the consistency of endpoints favoring ataluren is
7 really, in my mind, unprecedented with dystrophin
8 restoration strategies in a one-year trial. The
9 effects for the other drug you mentioned I think
10 are seen over a longer duration of a period of time
11 because it takes longer for dystrophin to be
12 produced.

13 MR. WATKINS: Thank you.

14 DR. ALEXANDER: Thank you. Dr. Fountain?

15 DR. FOUNTAIN: Yes. This is actually a
16 follow-up to that question, and that is that, if I
17 understand it right, you have quite a few patients
18 in ongoing longer term trials. And you showed the
19 pulmonary function test data that appears to be
20 preserved compared to the historical controls.

21 Do you have other data besides the pulmonary
22 function tests over a longer duration?

1 DR. McINTOSH: The data that we do
2 have -- and I'll get Dr. Craig McDonald to present
3 it because it's part of the 019 study, loss of
4 ambulation. What we did in that study is also
5 compared loss of ambulation.

6 Could we have the slide please? This is
7 essentially the loss of ambulation data essentially
8 for Study 019. Study 019, as we showed, is one of
9 the studies where we have almost four years -- so
10 3 and a half years of exposure. And the real
11 advantage of having that is that we can really
12 observe outcomes data, which is more clinically
13 relevant.

14 I will now hand it over to Dr. McDonald to
15 speak you through the results of that data.

16 DR. McDONALD: This is long-term extension
17 data from Study 019 where patients have had several
18 years of treatment with ataluren. What you can see
19 here is a median age of loss of ambulation of
20 16.3 years. Now the best external source of
21 natural history control data is actually seen in
22 the next slide, and here we see data that is now in

1 press from our CINRG group in The Lancet.

2 To demonstrate this, this is loss of
3 ambulation data in 330 Duchenne patients, with the
4 red line there showing the proportion of patients
5 maintaining ambulation on steroids. The blue line
6 are those not treated with steroids. The median
7 age at loss of ambulation in those 330 patients is
8 13.4 years, with a 95 percent confidence interval
9 of 12.5 years to 14 years.

10 On the right, this compares with the
11 long-term ataluren-treated patients, which showed
12 median loss of ambulation of 16.3 years, which is
13 2.3 years prolonged beyond the 95 percent
14 confidence interval we see for the 330 Duchenne
15 patients followed long-term. Thank you.

16 DR. FOUNTAIN: Are you collecting other data
17 as well or just the pulmonary and the ambulation?

18 DR. McINTOSH: In that study, we collect the
19 outcomes data, which is the pulmonary data as well
20 as the timed function test and 6-minute walk test
21 data.

22 DR. FOUNTAIN: Is there any reason to think

1 then it's just a final follow-up to this that
2 longer term wouldn't be better? Is there some
3 physiologic reason? Do you think to keep making
4 dystrophin, that would help? If you started
5 earlier, it would help more; if you continue it
6 longer it would help more. So the longer you're on
7 it, the more benefit it would have would seem to
8 be.

9 Is there some reason you think that wouldn't
10 be true?

11 DR. McINTOSH: I'd like to hand this over to
12 Dr. Craig McDonald.

13 DR. McDONALD: It's a mechanism-based
14 treatment to produce dystrophin and preserve muscle
15 fibers. Clinically, we believe that earliest
16 treatment is essential. We would want to treat
17 patients as soon as the diagnosis is made to try to
18 preserve as much muscle function as possible,
19 knowing that it may take two or three years to
20 actually demonstrate benefits in that group.

21 However, we also know that even in the
22 patients in the rapidly decline phase, we're also

1 seeing benefits in terms of preservation of
2 pulmonary function. There's also some extension
3 data that shows stability of upper limb function as
4 measured by the performance of upper limb, measure
5 the PUL.

6 DR. ALEXANDER: I'd like to ask a question.
7 My name is Dr. Alexander. So doctors who say no to
8 that Study 020 failed because of failure to enrich
9 the population appropriately, which I understand,
10 but that is a reasonable comment about -- I mean
11 that comment could be made about any study that
12 fails.

13 So I'm just trying to understand more in
14 terms of the temporal sequence, why were stable
15 patients included in Study 020? Was it known prior
16 to the conduct of that study? Was there a failure
17 to appropriately reach the targets for recruitment
18 of the right patients? Or was it only learned
19 after that study was analyzed, that there was a
20 failure to enrich for the right population?

21 DR. McINTOSH: This is an important point to
22 clarify. We need to understand that the evolving

1 nature of our learnings as we designed these
2 studies. Study 007, which was the first
3 placebo-controlled study to use the 6-minute walk
4 test, the results from that study, we learned we
5 needed to enrich the patient population and need to
6 remove stable patients. However, we did not know
7 how to do that appropriately. At the time, the
8 natural history data was not forthcoming. There
9 was no natural history data on the 6-minute walk
10 test.

11 As Dr. Dunn had explained, we did some
12 sub-analysis and we looked at a particular group.
13 We identified an exclusion criteria. We said we'll
14 remove patients who can walk 80 percent predicted
15 for their height and age, and that will move the
16 stable patients. When we actually used that
17 criteria, what it did not do was remove stable
18 patients, unfortunately.

19 The temporal evidence that showed that the
20 greater than 400 group are a stable patient group
21 and would be difficult, we only came out with the
22 Pane publication in 2014. I think now it is quite

1 recognized, and I think in the last two
2 applications, which this committee is aware of,
3 with drisapersen and eteplirsen, there's been
4 acknowledgement of the floor and ceiling effects
5 associated with the 6-minute walk test.

6 DR. ALEXANDER: If you were designing the
7 study all over again, are there other changes you'd
8 make, other than to focus exclusively on patients
9 with a 6-minute walk distance of 300 and 400
10 meters?

11 DR. McINTOSH: Absolutely. I mean we've had
12 a lot of learnings from these two clinical trials,
13 and the DMD space is rapidly evolving. What we
14 have categorically learned is, A, you need longer
15 studies. Short-term studies, one-year studies,
16 create significant challenges and require the need
17 to enrich.

18 So longer studies for sure and also to focus
19 on a decline-phase population. You need to exclude
20 stable patients, and you need to exclude patients
21 who are very unstable, and those are the principles
22 we're moving forward with our study.

1 DR. ALEXANDER: Thank you. Dr. Kesselheim?

2 DR. KESSELHEIM: This is Dr. Kesselheim. My
3 question was sort of answered by that response.
4 But my question was about the transition phase and
5 whether clinicians prospectively can identify
6 patients based on what phase they're in, and do
7 they change their treatment patterns differently.
8 As a relationship to that on slide 56, I was just
9 wondering what the X-axis time measure is.

10 DR. McINTOSH: Thank you. I'll refer to
11 Dr. Craig McDonald who's an expert in natural
12 history of Duchenne's to answer that.

13 DR. McDONALD: Craig McDonald from the
14 University of California. Again, with regard to
15 the sequence and whether clinicians can identify
16 patients in the transition phase, I think we
17 certainly use a variety of measures. The same
18 measures that are actually used in these clinical
19 trials, where we're seeing consistent ataluren
20 treatment effects, some of the measures are more
21 prognostic.

22 The rise from floor value is really more of

1 a prognostic measure rather than a measure that's
2 predictive of a treatment effect. The Pane data
3 was actually available in 2014, showing stability
4 of ambulatory function in those higher functioning
5 groups.

6 But yes, we can -- I think clinically using
7 the same endpoints such as the timed function test,
8 6-minute walk, and North Star, we can identify
9 patients in transition versus the stable phase.
10 Thank you.

11 DR. ALEXANDER: Dr. Perlmutter?

12 DR. PERLMUTTER: Joel Perlmutter from
13 Washington University. Again to Dr. McDonald, if I
14 may. When you showed us the data from your Lancet
15 paper in press, comparing the steroid treated
16 versus the ataluren, were those two studies
17 contemporaneous, or was that a historical control,
18 and were the ataluren subjects or participants also
19 taking steroids?

20 DR. McINTOSH: Thank you. Dr. McDonald?

21 DR. McDONALD: Yes. The data I presented
22 earlier was a natural history data, which was

1 conducted contemporaneously with the ataluren
2 trials. This was conducted in 20 sites worldwide.
3 A hundred percent of patients in that red curve are
4 treated with steroids; 94 percent of the patients
5 in the Study 019 group were treated with steroids,
6 and they were actually balanced on a variety of
7 other factors.

8 DR. ALEXANDER: Dr. Fountain and then
9 Dr. Mielke.

10 DR. FOUNTAIN: In reference to slide 81
11 about the North Star data, you mentioned that there
12 was an improvement, but it looks just
13 at -- eyeballing, it would appear that it's true
14 that 8 of 17 are better, but 6 of 17 are worse, and
15 3 of 17 look about the same.

16 So is slide 82 an aggregate data, or is it
17 something else or some subgroup? Because a
18 fundamental question is how well we're able to
19 separate out what is coincidentally or could be
20 found by accident. So just eyeballing it, 6 of 17
21 look worse, 8 of 17 look better, so that's pretty
22 close; and 3 of 17 are the same.

1 Is the analysis of that of all factors and
2 are they weighted, or something like that?

3 DR. McINTOSH: Thank you. I'd like to
4 invite Dr. Marcio Souza to answer.

5 MR. SOUZA: Marcio Souza, PTC Therapeutics.
6 Slide 81 is actually a comparison between two
7 placebo or untreated cohorts. Just to show, as you
8 rightly so said, Dr. Fountain, this is very
9 balanced. This validates the placebo.

10 Our slide in the core -- if I could bring
11 back the comparison between ataluren and placebo,
12 please -- shows a difference in 17 out of the
13 15 [sic] items. And when we compute the
14 difference, not only the number of items, but the
15 magnitude of the difference, we see a 31 percent
16 risk reduction for a patient into this relatively
17 short period of time, of one year, of losing of
18 function, if that given patient would be placebo
19 versus ataluren, reinforcing, once again, not only
20 the unmet needs of losing as much 20 percent in one
21 year, or more in some functions, but also very high
22 treatment effects. Thank you.

1 DR. ALEXANDER: Dr. Green, and then we'll
2 come to you Dr. Mielke.

3 DR. GREEN: It has to do with the placebo
4 slide as well. Given a somewhat modest therapeutic
5 gain and admittedly probably acceptable SAEs, even
6 though they occurred in both groups, were patients
7 able to detect -- because sometimes adverse events
8 aren't severe; they're just detectable -- detect
9 what group they had been allocated to?

10 DR. McINTOSH: Study 020 was robustly
11 blinded and masked. No specific AEs would have
12 specifically unblinded the patients at all.

13 DR. ALEXANDER: Did that address your
14 question?

15 DR. GREEN: Well, you have no specific
16 information whether they were able to correctly
17 allocate the group they were in, or their
18 caretakers?

19 DR. McINTOSH: Let me invite Dr. Marcio
20 Souza to answer that question.

21 MR. SOUZA: Marcio Souza, PTC Therapeutics.
22 They were not only allocated blindly by the system,

1 the IVRS system, but all the sibling pairs were
2 allocated to the same group as per the protocol.
3 There's no difference in the formulation in terms
4 of anything that could lead to unblinding, and
5 there was no difference in adverse events in any of
6 the groups that could lead to inadvertently
7 unblinding.

8 On top of that, the FDA inspection that
9 occurred already, at PTC, did not find any example
10 that could be leading to unblinding.

11 DR. ALEXANDER: Thank you. Yes, Dr. Dunn?

12 DR. DUNN: Yes. I just wanted to make sure
13 Dr. Green -- your question I think was not so
14 much -- and maybe I misunderstood you -- was not so
15 much about the methods of randomization and
16 allocation, but I think you were asking the sponsor
17 if they had any objective assessment of the
18 effectiveness of the blinding maneuvers; is that
19 correct?

20 (Dr. Green nods yes.)

21 DR. DUNN: Okay. I don't have an answer to
22 that for the sponsor. I just wanted to make sure

1 that that was clear to the sponsor so they could
2 offer any information they had in that regard. I
3 think Dr. Green was asking if you had performed any
4 post-study assessment of the effectiveness of your
5 blinding maneuvers.

6 DR. McINTOSH: We haven't done any specific
7 assessments to look for, but there's been nothing
8 in the study that has alluded to the fact that this
9 was not a well-controlled and blinded study.

10 DR. GREEN: Again, I was interested in the
11 patients and the caretakers equally.

12 DR. McINTOSH: During the study, we had no
13 issues with caretakers. And talking about
14 something that would significantly show unblinding,
15 we have no data to suggest there'd be any issues
16 with our blinding.

17 DR. ALEXANDER: Dr. Mielke?

18 DR. MIELKE: I had a question going back to
19 The Lancet neurology article again, and looking at
20 the curves. Would you mind putting that figure up
21 again?

22 DR. McINTOSH: Is it on the North Star data?

1 Loss of ambulation. Thank you.

2 DR. MIELKE: Again, looking at the red
3 curves and the blue curves, there is a slight
4 difference in terms of corticosteroid use, but was
5 there any difference in terms of where they started
6 from, given the terms of their 6-minute walk test?

7 DR. McINTOSH: Yes. I'd like to invite
8 Dr. Craig McDonald, who did this analysis.

9 DR. McDONALD: We do have demographic
10 information at baseline comparing the CINRG natural
11 history cohort published in The Lancet, and the
12 patients participating in Study 019. They were
13 well-balanced on age at entry. They were
14 well-balanced in terms of proportions on steroids.
15 They were well-balanced on proportions taking
16 deflazacort.

17 The CINRG data did not have long term
18 6-minute walk data, because this was a relatively
19 new endpoint that had been recently validated. But
20 we did have 10 meter walk/run data, and the two
21 populations were well-balanced with regard to
22 baseline 10 meter walk/run function. Thank you.

1 DR. ALEXANDER: Dr. Kesselheim?

2 DR. KESSELHEIM: Yes, it's Aaron Kesselheim
3 again. I was comparing slides 48 and 52, which are
4 the Study 007 and Study 020. It looks like in 48,
5 the separation between the low-dose ataluren line
6 and the placebo line occurred relatively early in
7 the treatment, whereas in Study 20, it occurs
8 relatively late, in slide 52.

9 I was just wondering if you had an
10 explanation for why that might have looked
11 differently.

12 DR. McINTOSH: Study 007 we saw, as you
13 rightfully said, early separation. What we noted
14 in Study 020 is the separation occurred a little
15 bit later. We don't really fully understand why
16 that was, but we still do see separation, and that
17 separation continues throughout the latter part of
18 the treatment period.

19 DR. ALEXANDER: Thank you. Dr. Onyike?

20 DR. ONYIKE: The transition phase can be
21 defined or viewed as a category, or it could be
22 viewed as a continuum. To the extent that one

1 might view it as a continuum, it would stand to
2 reason that people who are closer to 400 would fail
3 milestones earlier or might be declining faster
4 than those who are closer to 300.

5 My question then is do you have descriptions
6 of the baseline values for the clinical outcomes
7 for the placebo group versus the treatment
8 group -- I'm sorry, for the treatment group versus
9 the CINRG comparisons?

10 Because my thinking would be that if the
11 median scores on the 6-minute walk distance, if
12 they differ, if the placebo group are closer to 400
13 than the treatment group, that could explain the
14 findings.

15 DR. McINTOSH: Just for me to clarify, when
16 you're talking specifically about patients in the
17 transition phase, you're saying did the prognostic
18 disease factors balance across both treatment arms,
19 in that specific group, to ensure that there's no
20 imbalance?

21 DR. ONYIKE: It would appear to me that you
22 are using the transition phase as a category.

1 DR. McINTOSH: Yes.

2 DR. ONYIKE: And that pretends that people
3 who are 300 are equivalent to those who are at 400,
4 and I'm not sure that is true.

5 DR. McINTOSH: This is an excellent point.
6 We have done baseline demographics for the 3[00] to
7 400, so this is obviously critically important. We
8 did stratify at 350. The good news about
9 stratifying at the midpoint, that it means it
10 ensured that there was balance within this to three
11 to 400 baseline group.

12 What we see, generally, across the
13 prognostic indicators of function like stair climb,
14 stair descent, 6-minute walk -- stair descent and
15 run/walk, it's very balanced. The only difference
16 is the ataluren patients were a little bit older,
17 so that would bias potentially against. But when
18 you look at the actual function of these patients,
19 these patients were functionally comparative.

20 DR. ALEXANDER: Mr. Watkins?

21 MR. WATKINS: Yes. Do you have any ideas on
22 why ataluren was not successful in the CF studies

1 versus the apparent positive benefits that you're
2 presenting today in Duchenne?

3 DR. McINTOSH: CF is obviously very
4 different from, A, genetically, you have two
5 mutations, as in Duchenne's you only have one, as
6 well as pathophysiologically, you have a lot of
7 infections in cystic fibrosis, which are
8 confounded.

9 What we saw is in our preclinical models, as
10 well as in our phase 2 study, we saw restoration of
11 the CFTR protein, the target protein. We did the
12 experiment to look at CF in one study, we had an
13 interaction with Toby [ph]. We reran it. Then the
14 benefitting was not sufficiently large to pursue
15 that indication.

16 DR. ALEXANDER: My name is Caleb Alexander.
17 I have a question about statistical significance.
18 And I'll be the first to say I'm not a
19 biostatistician, but I'm trying to reconcile -- and
20 I think we'll hear from the FDA later, regarding
21 their take on tests that are maybe of nominal
22 significance, but not capital S, statistically

1 significant.

2 So I'm trying to understand the statistic
3 that we heard that the likelihood of endpoints
4 being positive, being positive by chance alone, was
5 0.8 percent. On the one hand, we have these two
6 trials that failed their primary endpoints, if I
7 understand what we've heard, so we're looking at a
8 variety of different secondary endpoints that were
9 not prespecified.

10 But can you say a little bit, but
11 simplifying it for the non-biostatisticians in the
12 room, about how we interpret this value of
13 0.8 percent?

14 Then I guess the other point to this is that
15 both briefing documents have discussed an absence
16 of adjustment for multiple comparisons. Are those
17 not possible to do post hoc? I realize there may
18 still be lots of problems about doing those, but
19 are those not possible to do?

20 DR. McINTOSH: Excellent. There are two
21 questions there about that one analysis around .08,
22 and I'd like to invite Professor LJ Wei who did the

1 analysis from Harvard to discuss this.

2 DR. WEI: Thank you very much. LJ Wei from
3 Harvard. Could you put up the slides, please?

4 Sir, if you allow me just to make some
5 comments before I make a comment about this
6 totality evidence across two studies, across the
7 key outcomes.

8 The FDA, who is sitting here, discuss how we
9 define substantial evidence from a clinical trial.
10 My understanding right now, FDA is using p less
11 than .05 for primary endpoint. That's their
12 definition. In fact, American Statistical
13 Association, which is the largest statistical
14 society, recently issued a formal statement saying
15 don't use a p less than .05. It doesn't make too
16 much sense.

17 Furthermore, in the workshop we had with
18 FDA, Duke University, I remember Dr. Temple was
19 sitting there too, we discussed how we can improve
20 drug development for rare disease drugs, and three
21 things we came out.

22 First one, moving beyond p less than .05,

1 depending on how rare the disease, we should choose
2 the level we're talking about. What is the second
3 lesson we learned? Utilize multiple endpoints, not
4 a single endpoint. Third one, utilize the natural
5 history data, helping us evaluate the treatment.

6 So PTC today is presenting to you exactly
7 those three areas.

8 DR. ALEXANDER: So how is this value of
9 0.8 percent derived?

10 DR. WEI: Yes. Let me explain to you, sir.
11 Let's think about 007, the blue dots on the
12 right-hand side means in favor of treatment; on the
13 left-hand side of zero means in favor of placebo.
14 You notice the 4 blue dots? They're all on the
15 right hand side of zero. If we move to the right
16 panel, 020, 4 blue dots are also on the right hand
17 side of zero.

18 Let's think about it. Suppose I have a
19 coin. I said suppose there's a fair coin -- that
20 means 50 percent, you're getting heads, 50 percent
21 you're getting tail. If you toss a coin, you get
22 heads, you put a blue dot on the right. If you get

1 tails, you put it on the left. Then I ask myself,
2 what is the chance you toss the coin eight times,
3 you got eight heads? The chances are .004, but of
4 course the tosses are not independent because they
5 came from the same data.

6 So we actually can use a statistical
7 methodology to figure out the 0.8 percent chance to
8 get this profile if there is no difference at all,
9 so the chance is so small.

10 DR. ALEXANDER: Okay. Thank you. And were
11 adjustments made for multiple comparisons?

12 DR. WEI: Well, sir, this is also a very
13 philosophical issue. How in the world we can
14 handle so-called multiplicity from a statistical
15 point of view -- if you think about drug
16 development, if you really think about
17 multiplicity, we should go back to phase 1,
18 phase 2, phase 3. I tell you, if we do that, no
19 one is going to approve the drug, period.

20 So something is going on. It's a little bit
21 artificial when we're talking about multiplicity.
22 Thank you.

1 DR. ALEXANDER: Okay. Thank you.

2 Dr. Onyike, and then that will be the last
3 question for the session.

4 DR. ONYIKE: Yes, if I may revisit my
5 earlier question, how did the groups compare at
6 baseline with respect to the 6-minute walk?

7 DR. McINTOSH: Sure. For the transitions in
8 patients, or for the ITT?

9 DR. ONYIKE: For the transition.

10 DR. McINTOSH: Okay.

11 DR. ONYIKE: For the groups that were
12 randomized, basically.

13 DR. McINTOSH: Okay. For the transition
14 zone, I'd like to highlight the third column there.
15 Placebo patients had a 6-minute baseline walk test
16 of 342, and ataluren had 351. When you look at the
17 other covariants of the disease prognosis, which is
18 climb stair, climb descent, raise from spine, and
19 run/walk, they're all very similar in balance. So
20 these prognostic indicators imply that the patients
21 have a similar disease severity.

22 DR. ONYIKE: Thank you.

1 DR. McINTOSH: Thank you.

2 DR. ALEXANDER: Thank you very much. We'll
3 now take a 15 minute break. Panel members, please
4 remember that there should be no discussion of the
5 meeting topic during the break amongst yourselves,
6 or with any member of the audience, and we'll
7 resume promptly at 11:15 a.m. Thank you.

8 (Whereupon, at 11:00 a.m., a recess was
9 taken.)

10 DR. ALEXANDER: Thank you, and welcome back.
11 We'll now proceed with the FDA presentations.

12 **FDA Presentation - Robert Temple**

13 DR. TEMPLE: I'm Bob Temple. I'm deputy
14 director of ODE I. I'm going to talk generally
15 about some principles of subgroup analysis, not so
16 much the data that's been presented to us. But
17 it's worth noting that a lot of the discussion and
18 disagreement has something to do with looking at
19 subsets of the entire trial, so that's what I'm
20 going to be talking about.

21 The general principle that the study
22 endpoints in a trial, that are going to be analyzed

1 to demonstrate effectiveness have to be identified
2 before the study is completed -- we even like it
3 best if they're identified before the study
4 started -- is universally expressed and is a
5 critical part of study planning, and really
6 everybody knows this.

7 The overall term expressing the concern
8 about multiple endpoints is generally referred to
9 as multiplicity, and it involves a recognition that
10 false conclusions can be reached if you look at a
11 whole lot of endpoints and pick the one that wins.
12 There's also concern with potential bias in
13 selecting endpoints, if new endpoints are selected
14 with the data in hand, for obvious reasons. So in
15 designing trials, there is particular attention to
16 specifying the primary endpoint.

17 It's worth noting that the same issues arise
18 when you're looking at subgroups of the population;
19 men/women, old/young, people with varying degrees
20 of disease seriousness, and things like that, which
21 is mostly what we're talking about today. We're
22 not looking at new endpoints so much as subsets.

1 That's the case today, where subsets of the
2 disease based on severity or baseline
3 characteristics, or whatever, where a clearly
4 negative study as originally planned, negative
5 based on all the randomized patients, is said to be
6 a positive study in a population subset chosen
7 after completion and with knowledge of the data.

8 It does seem worth noting that in most
9 cases, these subsets that are chosen don't look
10 crazy. They look plausible. That's what makes
11 them attractive. But everybody knows this, and
12 they're worried about it anyway.

13 In an ICH, International Conference on
14 Harmonization Guidance called E-9, which talks
15 about statistical principles, there are a number of
16 statements in there that recognize this.

17 "Redefinition of the primary variable --
18 that would also include a subset of the population
19 based on a baseline characteristic; that's my
20 addition, not ICH E-9 -- after unblinding will
21 almost always be unacceptable since the biases this
22 introduces are difficult to assess."

1 The guidance also says under the heading of
2 Sub-groups, Interactions, and Covariates,
3 "Acknowledging that subset variations are of great
4 interest and can be planned" -- they note that we
5 are -- and I would endorse this -- we're very
6 interested in whether there are subgroups in the
7 population that respond differently. We always
8 analyze that sort of thing.

9 In some cases, it's perfectly possible to
10 find that a relevant subgroup, based on a variety
11 of factors, is the right group to study. We
12 endorse things like prognostic enrichment, where
13 you identify the people who have enough disease to
14 show something, and predictive enrichment, where
15 you identify who the responders are. The
16 attractive areas are genetic enrichment, but there
17 could be other bases for picking.

18 What ICH says, "In most cases, subgroup or
19 interaction analyses are exploratory and should
20 clearly be identified as such. When exploratory,
21 these analyses should be interpreted cautiously,
22 and any conclusion of treatment efficacy or lack

1 thereof, or safety based on exploratory subgroup
2 analyses is unlikely to be accepted." That's what
3 ICH E-9 says.

4 "Exploratory trials cannot be the basis of
5 the formal proof of efficacy, although they may
6 contribute to the total body of relevant evidence."
7 So it's a very strong position that you don't go
8 nosing around.

9 In a masterly piece of timing, a recent New
10 England Journal of Medicine article from
11 September 1, 2016, Stuart Pocock and Stone
12 addressed the issue of what to do with studies when
13 the primary outcome fails. They note that there
14 may be reasons for hope, based on such a study,
15 notably when a small trial comes close to nominal
16 significance, but they are very skeptical when the
17 overall result is neutral. That's a judgment call
18 of course.

19 "Indeed," they say, "we find it hard to
20 think of an example in which an apparent benefit in
21 a subgroup in a trial with a negative outcome has
22 led to confirmation in a subsequent trial." I'm

1 not necessarily quite as negative as that. I think
2 these are worth pursuing.

3 Maybe it's because of my enthusiasm for
4 enrichment, but I think the idea of looking at
5 subgroups that look good in formal studies is a
6 pretty good idea because the groups could have
7 differences in effect size, differences in degree
8 of spontaneous variability, all those things.

9 I would say we generally would encourage
10 sponsors to look closely at what seemed to be
11 possible responder subsets, and that is in fact
12 what PTC has done. Unfortunately, they didn't
13 really work. So subset findings we believe need
14 study, not acceptance and belief.

15 In a paper in the Annals of Internal
16 Medicine called "Clinical Trials: Discerning Hype
17 from Substance," a somewhat aggressive title, Tom
18 Fleming illustrates the risks of unplanned subset
19 analyses, and he particularly cites a trial of
20 Actimmune in idiopathic pulmonary fibrosis. No
21 significant effect was seen on progression-free
22 survival, which was the primary endpoint, or on

1 overall mortality, but mortality leaned with a
2 nominal p of 0.08 or 0.15, depending on how you
3 looked at it, in the overall study.

4 That wasn't totally negative. There was
5 some reason for optimism, and very exciting to
6 everybody. In the mild-to-moderate subset, there
7 was a marked reduction in mortality, 21 versus
8 6 -- pretty impressive, right? -- with a nominal
9 p-value of 0.004.

10 So they did the confirmatory study, which
11 was absolutely the right thing to do, and they did
12 it in people with mild to moderate disease, and
13 there was no effect at all. On drug, the mortality
14 was 14.5 percent, placebo was 12.7. Those kinds of
15 things are very sobering, because 21 versus 6 looks
16 pretty good. The PTC experience to date supports
17 the reasons for being cautious.

18 The Fleming example, and there are many
19 more, of failing to confirm a subset finding is not
20 a reason not to study a subset that appears to
21 respond in a subsequent trial, especially if the
22 subset is plausible, which they usually are, and

1 the finding looks strong.

2 PTC's experience with ataluren in DMD, and
3 as you've already heard, in cystic fibrosis as
4 well, showed that it is possible to responsibly
5 assess plausible subsets in a prospective trial, of
6 course, and also suggests that one should try to
7 control one's expectations because these do not
8 always work out.

9 The experience also clearly shows why a
10 study planned to support the subset hypothesis is
11 really needed, because they fail a lot, and why, as
12 Pocock, Fleming, FDA and many others have explained
13 repeatedly, the subset findings are not credible on
14 their own. Maybe there are some exceptions to
15 that, but I can't really think of any.

16 As you've heard, the initial controlled
17 study of ataluren, Study 007, compared 2 doses of
18 ataluren to placebo with a primary endpoint of
19 change in 6-minute walk distance.

20 There's no question that the study leaned in
21 a favorable direction for the low dose, although it
22 showed no hint of an effect at the high dose, which

1 I believe considerably undermines the lean. As you
2 know, there's been some attempt to explain why the
3 dose-response curve is umbrella-shaped, but as
4 you'll also hear, we don't necessarily agree with
5 that.

6 As explained in the division memorandum, the
7 various post hoc analyses, some of which led to
8 nominal p-values of less than 0.05, were not
9 considered statistically valid and were weakened
10 further by the absence of effects on secondary
11 physical function.

12 So we did not agree to approve the drug
13 based on those subset analyses, but suggested a new
14 randomized trial, looking at the apparent responder
15 subset, people with the walking distance in a
16 certain range.

17 The company did that. They did a study in
18 patients with a baseline walking distance greater
19 than 150 and less than 80 percent predicted, and
20 that's what Study 020 was. They also changed
21 certain requirements for age and steroid use; a
22 terrific reasonable prognostic enrichment strategy

1 or maybe it was even predicted enrichment. I'm not
2 sure.

3 PTC plainly responded appropriately to our
4 refusal to file. They did the new study. But
5 unfortunately, as you've heard already, Study 020
6 didn't show a statistically significant effect on
7 6-minute walking distance. The nominal overall
8 p-value was 0.21. ("I'm embarrassed. If I keep
9 quoting p-values maybe we'll learn not to do that
10 anymore.")

11 In addition to that, the mean effect size
12 observed was very modest, 13 meters, far smaller
13 than the 46-meter effect seen in the subset of
14 Study 007 that led to this enrichment strategy.
15 That's sobering, too.

16 For Study 020, as you've heard, PTC urges,
17 after clear failure on the primary endpoint, and
18 with reasons that are not implausible, a different
19 assessment, based on yet another subgroup, now
20 patients with baseline 6-minute walking distance of
21 300 to 400 meters.

22 As I said, these are always plausible.

1 That's the whole point of these after-the-fact
2 subsets. They're always plausible, but they're
3 chosen with data in hand. You already know the
4 outcome, and that's an important bias problem.
5 Such subset study results need to be studied in
6 controlled trials, and that's what we've been
7 urging.

8 You already heard about this, and I don't
9 want to dwell on it too much, but PTC's experience
10 with ataluren in nonsense mutation cystic fibrosis
11 is further reason for being sober. The 2014 press
12 release reported favorable results in a
13 placebo-controlled trial on FEV1 and pulmonary
14 exacerbations with a nominally significant effect
15 in patients not receiving aminoglycosides. That
16 was plausible because they might interfere with the
17 drug, and there were laboratory data to support
18 that.

19 They announced at that time that they were
20 going to conduct a confirmatory study, which they
21 did. The results were announced in March of 2017.
22 There was really no effect at all. The p-values

1 for FEV1 were 0.534, as close to nothing as you can
2 get, and 0.401 for exacerbations. Again, a
3 perfectly plausible subset plan didn't really work
4 out.

5 Thank you. I'm going to stop there. I
6 could take questions if anybody wants to. Or are
7 we not doing that?

8 DR. ALEXANDER: Thank you, Dr. Temple. I
9 think we'll move on, but we may come back to you
10 with specific questions for you.

11 The next speaker from the FDA.

12 **FDA Presentation - Veneeta Tandon**

13 DR. TANDON: Good morning. I am Dr. Veneeta
14 Tandon, a clinical reviewer in the Division of
15 Neurology Products. In the initial part of this
16 presentation, I will be giving you an overview of
17 the FDA efficacy review of the ataluren NDA.

18 The statistics reviewer, Dr. Ling, will then
19 present detailed analyses of the efficacy data. I
20 will be back again to emphasize several important
21 additional efficacy considerations for the
22 committee.

1 Subsequently, Drs. Bhattaram, Rao, and
2 Weaver will discuss the applicant's analyses that
3 attempt to support the presence of an inverted U
4 dose-response relationship of ataluren.
5 Dr. Kozauer will then summarize the agency's
6 presentation, with some final remarks.

7 As you heard from the applicant earlier
8 today, the ataluren development program included
9 Study 004, which was a small, 4-week, uncontrolled,
10 dose-ranging trial. Three dose regimens given
11 3 times a day was studied in patients with nonsense
12 mutation DMD, who were at least 5 years of age.

13 The goal of this study was to evaluate the
14 pharmacodynamic effect of ataluren on in vivo and
15 in vitro dystrophin production from muscle biopsies
16 at baseline and at the end of 4 weeks. You will
17 hear about the results from this study from other
18 FDA presenters later this morning.

19 In addition, the ataluren development
20 program included two randomized, placebo-controlled
21 studies of 48 weeks duration. Study 007 was
22 conducted in patients with nonsense mutation

1 Duchenne muscular dystrophy, who were randomized in
2 a 1 as to 1 as to 1 ratio to receive placebo, a low
3 dose or a high dose of ataluren, given 3 times a
4 day.

5 Patients were required to be at least
6 5 years of age, with baseline 6-minute walking
7 distance of at least 75 meters. Patients were not
8 required to be taking steroids to be enrolled.

9 For the second study, 020, the enrolment
10 criteria were modified to enrich based on a
11 post hoc subgroup analyses from Study 007.
12 Patients were equally randomized to receive either
13 placebo or low dose of ataluren.

14 The enrolled patients were required to be
15 between 7 to 16 years of age, have a baseline
16 6-minute walking distance of at least 150 meters,
17 but less than 80 percent of their predicted value
18 based on patients height and weight. Unlike Study
19 007, this study required that patients be on a
20 stable dose of steroids for at least 6 months.
21 Both studies employed similar stratification
22 factors, with the exception of steroid use.

1 In the upcoming slides, I will present only
2 the high level results from Study 007 and
3 Study 020. Dr. Ling will present the detailed
4 results from these studies.

5 Now let me give you an overview of
6 Study 007, which was conducted first. The primary
7 endpoint was the change from baseline in
8 6-minute walking distance at week 48. The results
9 of the analyses of the endpoint for both doses of
10 ataluren that were evaluated in this study were
11 negative when compared to placebo with p-values of
12 0.05 and 0.48, respectively, for the low and high
13 dose of ataluren.

14 It is important to note here that the high
15 dose performed similarly to placebo, and
16 numerically worse than the low dose. This is a
17 very unusual result when drugs are effective.

18 This study also included 50 secondary
19 endpoints. Although the analyses of these
20 endpoints would have been exploratory regardless,
21 since the primary analyses were negative, there was
22 also no planned control for multiple comparisons in

1 the protocol. All but two were negative for both
2 doses. Again, the high dose performed similarly to
3 placebo and numerically worse than the low dose for
4 all secondary endpoints.

5 Based on post hoc assessment, the applicant
6 postulated that the failure of the high dose to
7 show a trend towards benefit is related to an
8 inverted U-shaped dose response of ataluren.
9 Drs. Bhattaram and Weaver's presentation later this
10 morning will discuss why FDA does not find this
11 hypothesis persuasive.

12 After the results of Study 007 were known,
13 the applicant conducted multiple post hoc analyses
14 on the data from Study 007 to find a nominally
15 significant result in favor of ataluren. In all of
16 these post hoc analyses, the numerically worse
17 performance of the high-dose ataluren was dismissed
18 by the applicant.

19 As you will hear later today, we do not find
20 this scientifically justified and very much believe
21 that the high-dose results must be considered in
22 the interpretation of the study findings.

1 Post hoc analyses changed both statistical
2 methods and study populations. The unblinded
3 change of the statistical method included adding a
4 post hoc interaction term to the primary mixed
5 model repeat measure analysis and conducting
6 post hoc permutation tests on this refined MMRM.

7 In addition, unblinded changes were made to
8 study population after looking at the data. After
9 looking at the data, the applicant chose not to
10 consider the baseline 6-minute walking distance
11 value from two patients because of injuries that
12 the applicant stated would have affected
13 assessments. These changes favored ataluren and
14 were not based on any prospectively planned
15 approach.

16 The applicant referred to this application
17 as the corrected ITT population and used the
18 corrected ITT population in all post hoc analyses
19 that were included in the NDA. The applicant
20 submitted an NDA in 2011 that was based on results
21 of these post hoc analyses. As you have heard
22 earlier from Dr. Dunn, the FDA refused to file that

1 application.

2 In addition to the post hoc analyses that I
3 just discussed, the applicant subsequently
4 identified a new post hoc subgroup of patients from
5 Study 007 referred to by the applicant as the
6 ambulatory decline-phase population or abbreviated
7 as ADP, and for which the applicant believed a
8 treatment benefit was present.

9 This group was identified after several
10 additional sequential post hoc changes that
11 narrowed the age, the 6-minute walking distance
12 criteria, and required the use of steroids.

13 The applicant then went on to conduct
14 Study 020, which was a larger trial that was
15 empirically enriched using enrolment criteria that
16 were identical to the post hoc ADP population from
17 Study 007.

18 As Drs. Dunn and Temple have stated, the
19 agency very much encourages this sort of
20 prospective enrichment to test exploratory
21 hypotheses. This study was well-powered with a
22 sample size more than 3 times the size of the ADP

1 group in Study 007, enrolling 230 patients compared
2 to 63 patients that met the ADP population criteria
3 in the low-dose arm of ataluren in Study 007.

4 Based on the applicant's theory regarding
5 the reason for the numerically worse performance of
6 the high dose in Study 007, Study 020 evaluated
7 only the low dose of ataluren. Despite the
8 enrichment of Study 020 and the larger sample size,
9 the primary endpoint changed from baseline
10 6-minute walking distance at week 48 was clearly
11 negative with the p-value of 0.21.

12 Additionally, all but one of the trials
13 secondary endpoint, which could only be considered
14 exploratory since the primary analysis failed, were
15 nominally negative.

16 The applicant attributed the failure of
17 Study 020 to the fact that patients in Study 020
18 had a higher than intended baseline
19 6-minute walking distance relative to the post hoc
20 ADP population from Study 007. However, an FDA
21 analysis that will be presented by Dr. Ling during
22 her statistical discussion comes to a different

1 conclusion and shows that these factors do not
2 explain the failure of Study 020.

3 The applicant analyzed nine different
4 subgroups in Study 020. It is important to
5 remember that these analyses can only be considered
6 exploratory since the primary analysis of the trial
7 failed. In addition, even if the primary analysis
8 of the trial was positive, these analyses would
9 still be exploratory, as there was no plan for
10 multiple comparisons in the protocol. That is no
11 control for type 1 error to account for the
12 possibility that some results may be positive by
13 chance alone.

14 Five out of these nine subgroups were based
15 on different baseline 6-minute walking distance
16 cutoffs. The only one of the nine subgroup that
17 normally favored ataluren included patients with a
18 baseline 6-minute walking distance between 300 and
19 400 meters.

20 The applicant then went back and looked at
21 these subgroups in a new post hoc analysis of
22 Study 007, using a post hoc statistical method.

1 Based on this exploratory finding, the applicant
2 submitted the current NDA application in 2015,
3 which the agency refused to file.

4 You will hear more about these results in
5 the subsequent statistical presentation by
6 Dr. Ling. I will now hand over the presentation to
7 Dr. Ling.

8 DR. ALEXANDER: We're just going to pause
9 for one minute while we make some AV adjustments.

10 (Pause.)

11 **FDA Presentation - Xiang Ling**

12 DR. LING: Good morning, everyone. My name
13 is Xiang Ling. I'm the statistical reviewer of
14 this application. In this presentation, I will
15 give an overview of the statistical analysis
16 results for the efficacy studies 007 and 020.

17 The primary endpoint for Study 007 was a
18 change from baseline in 6-minute walking distance
19 at week 48. The primary analysis was a mixed model
20 repeated measures, noted as MMRM.

21 As specified in the statistical analysis
22 plan, the original 6-minute walking distance data

1 were replaced with the ranks in analysis because
2 the data were not normally distributed. We call
3 this rank-transformed data.

4 Holm's analysis method was specified to
5 adjust for multiplicity of testing the two doses.
6 The primary analysis did not show a statistically
7 significant treatment difference for the low dose
8 compared to placebo. The nominal p-value is 0.15
9 and the p-value adjusted for multiplicity was 0.3.
10 There was virtually no treatment difference between
11 the high-dose group and placebo.

12 Sensitivity analyses were specified in the
13 analysis plan to be performed if the primary
14 analysis had been positive. These analyses were
15 considered exploratory in the setting of a failed
16 primary analysis. The results are presented in the
17 bottom half of this table.

18 ANCOVA on the last available data was
19 performed to assess the possible impact of missing
20 data. In this study, the amount of missing data
21 was very limited, about 3 percent. Analysis using
22 ANCOVA on rank-transformed data yielded similar

1 results as the primary analysis did. The adjusted
2 p-value for the low dose was 0.32. Again, there
3 was no treatment difference between the high dose
4 group and the placebo.

5 Another analysis was permutation test
6 performed to assess the possible impact of dynamic
7 randomization, that was utilized in this study. As
8 the permutation test does not rely on normality
9 assumption, the analysis was performed without rank
10 transformation.

11 The adjusted p-value for the low dose was
12 0.15 based on the permutation test, which was
13 similar to the p-value of MMRM on untransformed
14 data, indicating that the dynamic randomization
15 didn't have a significant impact on the efficacy
16 result.

17 The secondary endpoint for Study 007 were
18 considered exploratory, as there were no planned
19 type 1 error control for testing the secondary
20 endpoints. Over 50 secondary endpoints were
21 explored. Only two of them reached nominal
22 statistical significance, based on the prespecified

1 analysis methods.

2 Nominal statistical significance means that
3 the p-value of the test is less than 0.05, without
4 adjusting for multiple comparisons involving
5 multiple endpoints and multiple doses.

6 The statistical significance for the two
7 endpoints would be lost if the p-value was adjusted
8 only for the multiplicity of testing the two doses
9 and not considering a failed primary endpoint and
10 multiple secondary endpoints.

11 Here are the results for the timed function
12 tests. Again, these are exploratory analyses. A
13 total of 16 analysis results were presented in this
14 table for the 4 endpoints and the 2 doses on
15 rank-transformed data and untransformed data. The
16 6-stair climb for the low dose using untransformed
17 data was the only one that reached nominal
18 statistical significance.

19 The applicant identified a post hoc subgroup
20 in Study 007 that suggested a nominally significant
21 treatment effect in favor of the low-dose ataluren.
22 This subgroup was referred to as an ambulatory

1 decline-phase subgroup, and was defined by three
2 factors: age between 7 and 16 years,
3 6-minute walking distance between 150 meters and
4 80 percent predicted for age and height, and
5 steroids use for a minimum of 6 months.

6 Subsequently, a large phase 3 study known as
7 Study 020 was designed to study the enriched
8 ambulatory decline-phase population. The
9 enrollment criteria for Study 020 included these
10 three factors that were used to define the
11 ambulatory decline-phase population.

12 Patients were randomized only to the low
13 dose of ataluren or placebo. The study enrolled
14 twice as many subjects as Study 007 and over
15 3 times as many subjects in the Study 007
16 ambulatory decline-phase subgroup.

17 Despite that the study had a larger sample
18 size and was enriched based on the post hoc
19 subgroup finding from Study 007, the study failed
20 to reach statistical significance for the primary
21 endpoint. The p-value is 0.21 based on the
22 prespecified analysis method. The numerical

1 treatment difference was 13 meters, much smaller
2 than the 44 meters for Study 007 ambulatory
3 decline-phase subgroup.

4 To explain the failure of Study 020, the
5 applicant argued that 80 percent of the predicted
6 6-minute walking distance inclusion criteria was
7 set too high to adequately exclude stable patients.
8 The mean baseline 6-minute walking distance was
9 23 meters higher in the Study 020, than in the
10 Study 007 ambulatory decline-phase subgroup.

11 To investigate the potential impact of the
12 inclusion of stable patients and the higher
13 baseline 6-minute walking distance in Study 020, we
14 conducted an analysis attempting to create a group
15 matched closer to the Study 007 ambulatory
16 decline-phase subgroup. In this analysis, the most
17 stable patients were excluded so that the mean
18 baseline 6-minute walking distance for this
19 subgroup was similar to the Study 007 ambulatory
20 decline-phase subgroup.

21 The numeric difference between the ataluren
22 and the placebo based on this analysis was similar

1 to the primary analysis. After the primary
2 endpoint failed, the analysis of secondary
3 endpoints were for exploration only. A total of
4 4 endpoints were explored. One of them, the
5 6-stair descent, reached nominal statistical
6 significance.

7 The subgroup analysis for Study 020 were
8 planned as exploratory analysis, as no type 1 error
9 control was specified for testing subgroups. A
10 total of nine subgroups were explored and five of
11 which were based on baseline 6-minute walking
12 distance.

13 Of all the subgroups, the baseline
14 6-minute walking distance of 300 meters to
15 400 meters was the only one that reached nominal
16 statistical significance in favor of ataluren. The
17 adjacent subgroups of less than 300 meters and the
18 larger than 400 meters favored placebo numerically.
19 Further exploration of the subgroup showed larger
20 treatment effects in the subgroup of 300 to 400
21 meters on most of the function tests, except for
22 the test of 10-meter run or walk.

1 This chart depicts the result of the primary
2 endpoints by baseline 6-minute walking distance
3 category for Study 007 and Study 020. The subgroup
4 analysis for Study 007 were not prespecified and
5 were done retrospectively after the data was
6 unblinded.

7 The bars are the estimated mean differences
8 between ataluren and placebo on the week 48 change
9 in 6-minute walking distance. The red ones are for
10 the high dose in Study 007, green bars are for the
11 low dose in Study 007, and the blue bars are for
12 Study 020. The nominal p-values shown for the
13 ambulatory decline-phase population and the 300 to
14 400 meter subgroup are considered exploratory.

15 Positive differences indicate that ataluren
16 is numerically better than placebo. We can see
17 that Study 007 showed greater treatment differences
18 compared to Study 020 for the low-dose ataluren.
19 However, the direction of the numerical treatment
20 differences in the less than 300 meters and the
21 larger than 400 meters subgroup were not consistent
22 between the two studies. The high dose did not

1 reach nominal statistical significance for any of
2 the subgroups.

3 In summary, both studies failed to
4 demonstrate that ataluren had a treatment effect on
5 the primary endpoint, change in 6-minute walking
6 distance at week 48. There was no treatment
7 difference between the high-dose group and placebo
8 in Study 007, and the high dose was not studied in
9 Study 020.

10 In Study 007, the adjusted p-value for the
11 low-dose group was in the range 0.08 to 0.32. In
12 Study 020, the numerical treatment differences were
13 13 meters and the p-value was 0.21. The data
14 suggested a signal of treatment effect for the
15 low-dose ataluren. Both studies showed a
16 statistically non-significant numerical change in
17 the primary analysis, favoring the low-dose
18 ataluren.

19 In the subgroup of patients with
20 6-minute walking distance of 300 to 400 meters, a
21 numerical treatment difference on 6-minute walking
22 distance was seen in both studies. A numerical

1 treatment difference was seen on most of the timed
2 function tests in Study 020. However, these
3 results were difficult to interpret based on the
4 following observations.

5 First, the high dose didn't have a favorable
6 trend. Second, multiplicity adjustment was not
7 prespecified for testing the 300 to 400 meter
8 subgroup in Study 020. This subgroup was the only
9 one reaching nominal statistical significance out
10 of the nine prespecified subgroups.

11 Third, the numerical treatment difference on
12 6-minute walking distance was not similar between
13 the two studies; 44 meters in Study 007 versus
14 13 meters in Study 020 in the ambulatory
15 decline-phase patients.

16 I will hand over to Dr. Tandon.

17 **FDA Presentation - Veneeta Tandon**

18 DR. TANDON: Thank you, Dr. Ling.

19 I'm Dr. Veneeta Tandon again from the
20 Division of Neurology Products. I will now discuss
21 four key efficacy considerations for the committee
22 that include prognostic factors for DMD clinical

1 studies; analyses of the North Star Ambulatory
2 Assessment; the post hoc pooled analysis discussed
3 by the applicant; and summary of applicant's
4 development of ataluren for the treatment of
5 nonsense mutation cystic fibrosis.

6 As you have heard from both the FDA
7 statistical presentation and the applicant this
8 morning, an exploratory analysis of a subgroup of
9 patients with the baseline 6-minute walking
10 distance of 300 to 400 meters from Study 020
11 nominally favored ataluren. This finding needs
12 some additional context.

13 While we fully agree that 6-minute walking
14 distance at baseline can help make some prediction
15 about the likelihood of DMD patients to lose
16 ambulation or remain stable over 48 weeks, recent
17 literature clearly supports that baseline
18 6-minute walking distance alone poorly predicts
19 progression in trials, as would any other single
20 prognostic factor in these patients.

21 A recent publication by Goemans et al. in
22 2016 suggests that there are many prognostic

1 factors in addition to baseline 6-minute walking
2 distance, such as corticosteroid use, duration, and
3 age, that also do not explain all of the
4 variability in the disease progression.

5 All of these factors combined actually
6 account for about 30 percent of the variability in
7 6-minute walking distance progression. In fact,
8 Dr. Goemans further suggests that broadening the
9 prognostic model by adding additional factors,
10 including rise time, 10 meter walk/run, 4-stair
11 climb, height and weight, may even still only
12 explain 60 percent of the variability in
13 6-minute walking distance progression.

14 In fact, it is quite clear that many
15 attractive and seemingly logical patient subgroups
16 could be defined based on some or all of these
17 factors, and may be worth testing.

18 The manner in which various prognostic
19 factors, including 6-minute walking distance, are
20 best used to enrich clinical trials in DMD remains
21 an area of evolving science. This, in fact, is
22 further evident in the design of Study 041, which

1 is the applicant's ongoing efficacy trial to test
2 the exploratory result of Study 020.

3 The enrollment criteria for the primary
4 analysis in Study 041 have further evolved from
5 Study 020 and are now based on baseline
6 6-minute walking distance and a minimum rise from
7 supine time. Ultimately, in a trial that failed on
8 its primary analysis without prospective testing,
9 there is no way to be confident that the
10 exploratory results in the 300 to 400 meter
11 subgroup are attributable to drug. Other known and
12 unknown factors, or chance alone, may explain these
13 results.

14 As discussed by Dr. Dunn, the FDA has
15 actively encouraged the applicant to pursue such an
16 approach and is very willing to work with them on
17 the most efficient trial design for this purpose.

18 The applicant has also made an argument
19 regarding the effectiveness of ataluren based on
20 exploratory analysis of the North Star Ambulatory
21 Assessment or NSAA. The NSAA was an exploratory
22 endpoint in Study 020. Both preplanned analyses of

1 the total NSAA score using ordinal or transformed
2 linear scores, which were also exploratory, were
3 negative with p-values of 0.13 and 0.27,
4 respectively. The applicant then conducted
5 additional post hoc analyses on patients
6 performance on individual items of the scale.

7 The NSAA consists of 17 functional items,
8 each of which is shown on the left of this bar
9 chart. Each item is scored from 2 to zero. As
10 displayed on the slide on the right, a score of 2
11 indicates that the patient is able to perform the
12 task. A score of 1 indicates that the patient is
13 able to perform the task with difficulty, and a
14 score of zero indicates that the patient is unable
15 to perform the task.

16 The applicant presents an analysis of the
17 number of patients that have lost the ability to
18 perform a task that has declined from a score of
19 either 2 or 1 to zero. Again, the figures shown
20 here depict each individual item of the NSAA on the
21 Y-axis and the number of patients who declined to
22 zero on the X-axis.

1 The orange bars represent the number of
2 patients on placebo who declined, and the blue bars
3 report the number of patients on ataluren who
4 declined. The applicant notes that more
5 placebo-treated patients declined from a score of
6 either 2 or 1 to a score of zero, compared to
7 ataluren-treated patients in most items during the
8 trial. As you can see in this bar chart, the
9 orange bars are longer on most items.

10 What is also important to consider, however,
11 is that the decline in patients who progress from
12 2, meaning being able to perform a task, to a 1,
13 meaning performing the task with difficulty. This
14 is also clinically important as it shows that the
15 disease has progressed during the study.

16 When FDA conducted this analysis, it became
17 apparent that more ataluren-treated patients
18 declined on 10 items of the NSAA scale than more
19 placebo-treated patients declined on only two
20 items. The number of patients declining was
21 similar between treatment groups for the remaining
22 five items. This is not surprising because when

1 scored according to both preplanned approaches in
2 the protocol using a total score, there was no
3 nominal difference between ataluren- and
4 placebo-treated patients during the trial.

5 In such analyses, the results therefore
6 depend on a number of details that are selected
7 with data in hand. As you have heard earlier, the
8 applicant has also presented the results of a
9 post hoc pooled analysis of the ITT population from
10 Study 007 and ITT population of Study 020.

11 This post hoc pooled analysis cannot negate
12 the failure of two well-designed clinical trials.
13 In addition, these two populations are not
14 comparable with respect to steroid use, age, and
15 baseline 6-minute walking distance. However, as
16 with other exploratory analysis that have been
17 presented by the applicant, we encourage its use to
18 inform future clinical trial that would help
19 support the efficacy of ataluren in nonsense
20 mutation DMD.

21 Finally, we need to consider the development
22 of ataluren for the treatment of nonsense mutation

1 cystic fibrosis. The applicant has asserted that
2 ataluren should be able to read through all
3 nonsense mutations, regardless of the disease.

4 In 2014, the applicant published the results
5 of a large clinical trial conducted in nonsense
6 mutation cystic fibrosis. Although that trial
7 failed on its primary analysis, the applicant
8 indicated that it was very encouraged by the
9 results and stated that they were positive trends
10 favoring ataluren on both primary and secondary
11 analyses, as well as retrospective and subgroup
12 analyses.

13 The applicant also proposed a seemingly very
14 logical mechanistic explanation that aminoglycoside
15 antibiotics interfered with the activity of
16 ataluren and reported positive findings from a
17 post hoc subgroup analysis that excluded patients
18 on aminoglycoside antibiotics.

19 Based on these post hoc analyses, again,
20 including a seemingly plausible subgroup, a second
21 larger trial was then designed to enroll only
22 patients with nonsense mutation cystic fibrosis who

1 were not taking aminoglycoside antibiotics.
2 Earlier this year, the applicant unfortunately
3 announced that the results of the primary and
4 secondary endpoint from this trial were negative
5 and that it was stopping the development of
6 ataluren for this indication.

7 There are two important parallels from this
8 development program that can be drawn to the
9 development of ataluren for the treatment of
10 nonsense mutation DMD. The failure of ataluren to
11 demonstrate effectiveness in another disease,
12 defined by nonsense mutations given its purported
13 ubiquitous mechanism of action, lowers the prior
14 expectation of efficacy in other conditions.

15 In addition, these results highlight the
16 importance of the need to prospectively test even
17 seemingly very logical theories from exploratory
18 analysis of negative trials, in this case, the
19 purported interference of aminoglycoside
20 antibiotics.

21 Finally, as you have heard, the high dose of
22 ataluren performed similarly to placebo and

1 numerically worse than the low dose in Study 007.
2 The applicant has attributed this pattern of the
3 results to what it refers to as an inverted
4 U-shaped dose response for ataluren. This finding
5 is extremely rare in practice when drugs are
6 effective.

7 The applicant has used an exposure-response
8 analysis from Study 007 in both in vitro dystrophin
9 analysis from Study 004 and nonclinical data to
10 support this contention.

11 As you will hear from the upcoming speakers,
12 the FDA does not find the applicant's explanation
13 to support this finding persuasive. Dr. Bhattaram
14 from the Office of Clinical Pharmacology will first
15 discuss the applicant's exposure-response analysis
16 from Study 007. Thank you.

17 **FDA Presentation - Venkatesh Atul Bhattaram**

18 DR. BHATTARAM: Good morning. I'm Venkatesh
19 Atul Bhattaram, a reviewer in the Division of
20 Pharmacometrics, Office of Clinical Pharmacology.
21 I will discuss an exposure-response analysis
22 submitted in the NDA that is intended to explain

1 the inverted U-shaped exposure-response
2 relationship.

3 As you heard earlier, the high dose of
4 ataluren from Study 007 performed almost identical
5 to placebo. As Drs. Dunn and Tandon have
6 explained, this is a very rare pattern in the case
7 of drugs that have been shown to be effective.

8 In the NDA, the applicant presented an
9 exposure-response analysis that is intended to
10 support this finding. Eventually they split the
11 high-dose ataluren group into two groups based on
12 the plasma drug concentrations above and below
13 19.3 microgram per mL.

14 The idea was to show that patients with
15 lower concentrations in the high-dose group looked
16 more like the low-dose group on trial endpoints,
17 whereas, patients with higher concentrations in the
18 high-dose group looked more like placebo, thereby
19 supporting the presence of an inverted U-shaped
20 dose response.

21 We reviewed this analysis and found that any
22 differences in how the clinical endpoints were

1 found between these two exposure groups in the
2 high-dose arm are likely predicted with baseline
3 characteristics and not drug concentrations. In
4 the following slides, I'll walk you through these
5 results.

6 Before I go to the findings, I want to
7 highlight that these figures only show the two
8 concentration groups from the high-dose arm that
9 were part of this analysis that is above and below
10 19.3 microgram per mL in placebo. The low dose is
11 not shown at all.

12 On this slide, you see a bar chart showing
13 the average 6-minute walk distance ability on the
14 left Y-axis in placebo, which is shown in blue
15 color, and the two concentration groups red and
16 orange from the high dose in Study 007 at week 48.

17 Higher values for 6-minute walk distance
18 mean better performance. The number of patients in
19 each group is also shown in the graph. The
20 applicant suggests that these results show that
21 patients in the high concentration group actually
22 have lower 6-minute walk distance than both placebo

1 and low concentration group at the end of the
2 study. However, what this analysis does not
3 consider is how these different groups looked at
4 the baseline result.

5 We looked at the baseline performance to see
6 if that could explain this observed difference. It
7 turns out that these groups were not balanced at
8 baseline. The differences you see at the end of
9 the trial between these groups are also present at
10 baseline visit. Hence, the differences in
11 6-minute walk distance in the two concentration
12 groups at the end of the study are more likely due
13 to differences in the baseline 6-minute walk
14 distance than the threshold concentration of
15 19.3 microgram per mL.

16 Similar findings were observed for the timed
17 function tests, including rise time, 4-stair climb,
18 4-stair descent, and 10 meter walk/run, which I
19 will discuss in the next slides.

20 These next four slides will show that
21 similar trends as discussed with 6-minute walk
22 distance are observed with each of the timed

1 function tests in the trial. A shorter time to
2 complete these tests means a better performance.
3 Here also you see that any difference in the rise
4 time at week 48 between the concentration groups
5 are also likely explained by the fact that these
6 groups had similar trends at baseline.

7 Similarly, you can see that the baseline
8 10 meter walk/run time is different among the
9 concentration groups in the high dose, which
10 resulted in similar trends at the end of the study.

11 You can see here that the baseline 4-stair
12 climb time is different among the concentration
13 groups, which result in similar trends at the end
14 of the study, similar to the other endpoints that
15 I've shown earlier.

16 Finally, you can see that the baseline
17 4-stair descent time is also different among the
18 concentration group, which result in similar trends
19 at the end of the study.

20 In conclusion, an inverted U-shaped
21 dose-response relationship of clinical importance
22 in Study 007 is not supported with applicant's

1 analysis that splits the high dose into high and
2 low concentration groups. As I have shown you for
3 each of the trials main efficacy endpoints, these
4 differences are likely explained by similar trends
5 between these groups at baseline, and not due to
6 any difference in drug concentrations.

7 In relation to the explanation for lack of
8 efficacy in the high-dose group from Study 007, the
9 applicant also provided information on dystrophin
10 measurements from early studies and in vitro model
11 to support for the inverted U-shape dose response.

12 Now, Dr. Ashutosh Rao from Office of
13 Biotechnology Products will discuss methodologies
14 used to quantify dystrophin in clinical studies.
15 Thank you.

16 **FDA Presentation - Ashutosh Rao**

17 DR. RAO: Good afternoon. My name is
18 Ashutosh Rao. I'm chief of the laboratory of
19 applied biochemistry in the Office of Biotechnology
20 Products in CDER FDA.

21 In the NDA and during this morning's
22 presentation, the applicant has drawn a parallel

1 between the lack of a dose response for ataluren to
2 a bell-shaped dystrophin production by ataluren.
3 My task here today is to provide you with a summary
4 of our assessment of the dystrophin methods used by
5 the applicant during the study of ataluren.

6 I will go over a brief description of the
7 methods, followed by the significant limitations
8 that we identified during our review that you
9 should keep in mind as you consider the merits of
10 the applicant's claims regarding dystrophin
11 production.

12 From Study 004 and 007, the applicant
13 provided dystrophin data using immunochemistry
14 methods. The applicant previously showed you this
15 data in their presentation this morning. It should
16 be noted that immunochemistry is in general not a
17 suitable method for quantitation of protein levels.

18 The first immunochemistry data was called
19 in vitro analysis by the applicant and consisted of
20 the applicant using patient-derived and
21 subsequently cultured myotubes that were then
22 exposed to ataluren. The second approach was

1 termed in vivo analysis by the applicant and
2 consisted of data obtained from primary patient
3 biopsies from EDB muscles before and after drug
4 treatment.

5 In the first in vitro approach, the
6 applicant exposed cultured myotubes for 9 days with
7 ataluren followed by IHC analysis of the
8 fluorescence intensity of dystrophin.

9 The fluorescence intensity of dystrophin was
10 claimed to be normalized to spectrin, a
11 cytoskeletal protein as the denominator. However,
12 as seen in the representative images with the red
13 staining, the spectrin staining was not consistent
14 between untreated and treated pairs of samples, in
15 many cases. This inconsistency precludes the
16 applicant from reliably normalizing and presenting
17 persuasive dystrophin measurements from their
18 studies.

19 Additionally, other method validation
20 deficiencies that lower the confidence in the data
21 include the applicant's use of a different and
22 user-defined threshold between samples and a

1 signal-to-noise ratio that was not optimized for
2 consistency between samples. In general, the
3 method was not prospectively validated prior to its
4 application for the studies.

5 The second approach the applicant took
6 involved testing pretreated and treated biopsies
7 samples from patients who received ataluren.
8 However, in addition to the analytical deficiencies
9 identified in the previous slide, the applicant
10 chose a cutoff threshold of greater than 30 percent
11 of intensity to report their dystrophin findings in
12 order to exclude revertant fibers.

13 As a reminder, revertant fibers in DMD
14 patients have a background level of dystrophin
15 based on spontaneous mutations that lead to
16 dystrophin expression in some DMD fibers and
17 patients. Importantly, it is simply not possible
18 to visually distinguish revertant dystrophin from
19 drug-induced dystrophin expression.

20 The applicant submitted to us that
21 39 percent of their samples had negative intensity,
22 which could at least in part be explained by their

1 choice to exclude data with less than 30 percent
2 intensity. In general, there was a high degree of
3 variability in the intensity of the dystrophin
4 between samples and the number of samples per group
5 study.

6 Finally, a note about the applicant's
7 methods used in Study 007. As acknowledged by the
8 sponsor, the dystrophin methodology had significant
9 limitations that preclude its serious
10 consideration. We agree with the applicant that
11 several serious problems with the dystrophin
12 methods in 007 confound its interpretation.

13 These biopsy samples were taken from biceps
14 of DMD patients. Only 21.6 of the samples did not
15 have a freezing artifact, as noted by the
16 applicant's expert pathologist in their study
17 report.

18 About 36 percent had mild to moderate
19 freezing artifacts, and 42 percent had severe
20 artifacts that disqualified them from being used in
21 the study. In addition, most of the samples had
22 either suboptimal orientation for imaging,

1 considerable heterogeneity in fibrotic content, had
2 ice crystals, were partially desiccated or
3 observed, to have undergone proteolytic
4 degradation.

5 In addition to the problems with
6 immunohistochemistry, there was no Western blotting
7 or RTPCR bioassay validation or data provided
8 towards protein or mRNA levels in Study 004 or 007.

9 In summary, the dystrophin methods used by
10 the applicant were not standardized, validated, or
11 objectively performed to allow a reliable or a
12 quantitative interpretation of dystrophin protein
13 levels.

14 I will now turn this over to my colleague,
15 Dr. Jim Weaver, to provide details on the
16 non-clinical and in vitro dystrophin analysis.
17 Thanks.

18 **FDA Presentation - James Weaver**

19 DR. WEAVER: Good afternoon. We're going to
20 talk about four particular studies that were looked
21 at to provide support for the inverted U-shaped
22 dose curve. The first two are the in vitro and

1 in vivo studies from the Study 004 patients. We'll
2 also look at the in vitro measurement of dystrophin
3 in myotubes from the mdx mouse model, and finally,
4 the measurements of production of iduronidase in
5 the Hurler model.

6 As you've just heard, I won't repeat the
7 study design, because you just heard it, this study
8 used in vitro differentiated myotubes, which are
9 biologically somewhat different from mature
10 myocytes. The dystrophin detection was only by
11 immunofluorescence, and you've heard the issues
12 with that. There were additionally multiple
13 serious issues in the design and the conduct of the
14 immunofluorescence assay.

15 In the in vivo study, as stated by the
16 sponsor, there was no correlation between the
17 ataluren exposure as measured by Cmax on day 27 and
18 the reported in vivo dystrophin change measured in
19 the day 28 biopsies. Again, the dystrophin
20 detection was by the immunofluorescence method used
21 in the in vitro study.

22 Analysis of individual patient data also

1 failed to show any relationship between the two
2 measures. We also looked at examining C average,
3 which is more of an average exposure measurement,
4 but there was additionally no correlation there.

5 Our conclusion is that these two studies
6 using samples from the same patients produced
7 divergent results, and we conclude that these
8 experiments did not produce interpretable data.

9 Next we'll turn to the in vitro measurement
10 of dystrophin in myotubes from mdx mouse. This was
11 again evaluated by immunofluorescence. There was a
12 fully subjective measure with no objective
13 quantitation. The dose response only shows a
14 single data point per concentration and therefore
15 lacks replicates enabling statistical evaluation.

16 Turning to the Hurler model, as illustrated
17 by the ongoing and vigorous debate in the
18 scientific literature, ataluren's mode of action
19 and efficacy change greatly from one target to the
20 next, and absence of some very considerable
21 validation, the dose-response relationship from one
22 target cannot reasonably be extrapolated to another

1 target.

2 So in summary, these studies have
3 significant technical and design issues that result
4 in data that we feel cannot be interpreted. Thus,
5 we do not find any evidence to support the inverted
6 U-shaped dose response for ataluren in this
7 particular disease.

8 To reprise this, you've heard from
9 Dr. Bhattaram that the patient imbalances may
10 explain the differences in the high-dose group. In
11 the high versus low drug concentrations, Dr. Rao
12 has nicely detailed the major issues with the
13 design, and conduct, and validation of the
14 immunofluorescence assay. And I just talked about
15 further additional issues with the experimental
16 design.

17 I will now turn it over to Dr. Nick Kozauer
18 for the FDA wrap-up.

19 **FDA Presentation - Nick Kozauer**

20 DR. KOZAUER: Thank you, Dr. Weaver.

21 I'm going to conclude the agency
22 presentation by providing some final context.

1 We all want to see effective drugs approved.
2 Recent approvals by the agency, including several
3 by the Division of Neurology Products, highlight a
4 strong willingness to be flexible, particularly in
5 the case of rare diseases with unmet medical needs.
6 However, substantial evidence of effectiveness must
7 still be established.

8 A lot of data and analyses have been
9 presented today. However, the agency's concerns
10 about this application are very basic. They have
11 to do with the persuasiveness of exploratory
12 analyses from negative clinical trials. Such
13 analyses are often used to generate hypotheses for
14 further testing, an approach we actively support
15 and encourage. However, they very rarely can
16 establish that a drug is effective.

17 I will briefly summarize the agency's
18 evaluation of the data that have been provided with
19 this application.

20 The applicant, as you have heard, first
21 conducted Study 007, which evaluated two doses of
22 ataluren compared to placebo. This study was

1 negative. Notably, the high dose of ataluren
2 performed similarly to placebo and numerically
3 worse than the low dose. This inverted U-pattern
4 of results is concerning as it is highly unusual in
5 the case of drugs with proven efficacy. In
6 addition, as Drs. Bhattaram, Rao, and Weaver have
7 discussed, the data provided do not support a basis
8 for this finding.

9 The applicant then conducted a number of
10 post hoc analyses on the unblinded data from
11 Study 007 that changed both the analysis methods
12 and the populations. These post hoc analyses of
13 negative clinical trials are well-known to be prone
14 to many sources of bias. What they can do is help
15 develop theories that need to be tested.

16 In 2011, the agency refused to file an NDA
17 for ataluren based on these post hoc analyses of
18 Study 007. The applicant went on to perform
19 several additional post hoc analyses on the data
20 from Study 007 to derive what it referred to as the
21 ambulatory decline-phase or ADP population, where
22 it believed there was an effect.

1 The applicant then conducted Study 020,
2 which was empirically enriched, based on this
3 population; an approach that the agency encourages
4 sponsors to pursue. Unfortunately, Study 020 was
5 also negative.

6 As the agency reviewers have noted, this
7 study enrolled more than three times the number of
8 patients as the ADP population from Study 007,
9 which should have made it easier to show the effect
10 the applicant expected, if it was present.

11 The applicant has stated that a higher mean
12 baseline 6-minute walk distance in Study 020 was
13 the reason that the study failed. However, as you
14 have heard from Dr. Ling, this explanation was not
15 supported by agency analyses. Ultimately, the
16 post hoc findings from Study 007 were not supported
17 and prospectively tested in Study 020.

18 As the primary analysis of Study 020 was
19 negative, all other planned analyses can only be
20 considered exploratory. The applicant conducted
21 such exploratory analyses in a total of
22 9 subgroups, 5 of which were based on 6-minute walk

1 distance at baseline. There was no control for
2 multiple comparisons for the analyses of any of
3 these groups in the protocol.

4 One of these nine subgroups, patients with a
5 baseline 6-minute walk distance between 300 and
6 400 meters, nominally favored ataluren, although
7 results in some of the other adjacent subgroups,
8 numerically favored placebo.

9 As Dr. Temple has emphasized, the nature of
10 these sorts of subgroup analyses of negative
11 trials, even when they appear very logical, can be
12 misleading and need to be prospectively tested.
13 Additionally, as Dr. Tandon has discussed, baseline
14 6-minute walk distance alone is an unreliable
15 predictor of disease progression over 48 weeks.
16 Other factors that are known, like rise time, age,
17 and corticosteroid use, and unknown, also play
18 important, and perhaps ultimately more important,
19 roles with a sizable degree of progression still
20 unexplained.

21 Therefore, it is very difficult to know if
22 the results in any specific subgroup based on a

1 variety of prognostic factors, including
2 6-minute walk distance, from a negative clinical
3 trial in DMD are due to drug. These findings can
4 also be explained by disease variability or chance.

5 As Dr. Tandon has also mentioned, the
6 applicant has further refined the primary analysis
7 population for its ongoing efficacy study to also
8 include a minimum rise time, which speaks to the
9 evolving understanding of how best to enrich
10 clinical trials in DMD.

11 The applicant then attempted to support the
12 exploratory 6-minute walk distance subgroup
13 findings from Study 020 by going back and looking
14 at these new post hoc 6-minute walk distance
15 subgroups in Study 007. These data were already
16 known, which creates significant bias in any such
17 analysis.

18 Importantly, as with the primary analysis of
19 Study 007, the high dose also performed similarly
20 to placebo and numerically worse than the low dose
21 in this subgroup. Further, as Dr. Ling observed,
22 there were several important inconsistencies

1 between how the various 6-minute walk distance
2 subgroups behaved between the two trials.
3 Therefore, these new post hoc subgroup analyses
4 from Study 007 cannot provide support to the
5 exploratory results from Study 020.

6 Finally, the applicant presents the results
7 of pooled analyses that were only designed when the
8 unblinded data from Study 007 and 020 were known.
9 Such pooled analyses that are only proposed with
10 the data from both trials in hand are not capable
11 of overcoming negative results from two
12 well-designed trials. However, as with the other
13 exploratory analyses that have been presented
14 today, they can provide additional support for
15 hypotheses for further testing.

16 To conclude, this application presents the
17 results of a number of exploratory analyses from
18 two negative clinical trials that are intended to
19 support the effectiveness of ataluren for the
20 treatment of nonsense mutation DMD. Unfortunately,
21 as Dr. Temple has also discussed, there are many
22 examples in drug development where seemingly very

1 logical exploratory theories turn out to be
2 unsupported.

3 The development of ataluren itself provides
4 two very relevant cautionary tales. The first is
5 that, as Drs. Dunn and Tandon have mentioned, the
6 applicant has also developed ataluren for the
7 treatment of nonsense mutation cystic fibrosis,
8 based on the theory that it should read through all
9 nonsense mutations regardless of disease.

10 The first large efficacy trial in nonsense
11 mutation cystic fibrosis was negative. The
12 applicant then identified a subgroup based on
13 unblinded data, patients not taking aminoglycoside
14 antibiotics, where it believed there was a benefit
15 based on a theory that these drugs interfere with
16 the mechanism of action of ataluren.

17 A second larger trial was then also
18 conducted to test that theory. The results were
19 unfortunately negative, and the applicant is no
20 longer developing ataluren for that indication.

21 The failure of ataluren in another nonsense
22 mutation disease decreases the prior expectation of

1 efficacy in the current indication, given the
2 reported ubiquitous ability of ataluren to read
3 through all nonsense mutations. In addition, these
4 results emphasize the need to prospectively test
5 exploratory hypotheses from negative trials, even
6 when they appear very logical.

7 Most relevant is that the applicant
8 identified a post hoc subgroup from Study 007,
9 where it believed ataluren was effective. It then
10 designed Study 020 to enroll three times more
11 patients meeting those criteria.

12 Again, this sort of empiric enrichment to
13 prospectively test theories that are based on
14 post hoc analyses is a good thing. Unfortunately,
15 Study 020 did not support the post hoc findings
16 from Study 007.

17 There may be exploratory findings from
18 Study 020 that merit further study. However, as
19 these and many other examples demonstrate, they
20 also have the potential to be misleading and need
21 to be prospectively tested.

22 Finally, it is important to note that the

1 applicant is already evaluating the exploratory
2 findings from Study 020 in an ongoing clinical
3 trial that is enrolling patients who are now
4 defined by criteria that include a baseline
5 6-minute walk distance greater than 300 meters and
6 a rise time greater than 5 seconds.

7 We support this approach and hope the
8 results from this trial can help support the
9 effectiveness of ataluren for the treatment of
10 nonsense mutation DMD. We thank you for your
11 attention, and the review team can now take any
12 clarifying questions on the agency's presentation.

13 **Clarifying Questions**

14 DR. ALEXANDER: Thank you. We'll take a few
15 minutes for questions for the FDA. Dr. Ovbiagele?

16 DR. OVBIAGELE: Thank you. My question is
17 actually for Dr. Kozauer. If you look at the
18 context, I don't think there's any argument at all,
19 right? So it's obviously a huge unmet medical need
20 for a rare disease; no argument there.

21 If you look at the issue of scientific
22 methodology, even there you see that even for PTC,

1 there's a note to the fact that this is probably
2 less than ideal in terms of scientific methodology.
3 Obviously, from the FDA presentation, and obviously
4 from experts and the protoscientific community,
5 this is less than ideal.

6 But I think the issue I wanted to learn more
7 about is the issue of precedent because that was
8 alluded to in the PTC presentation, that the FDA
9 has been flexible in the past regarding exploratory
10 results. So I wanted to hear, if I may, a little
11 bit more about that to see if there have been
12 situations like this where the FDA has been
13 flexible.

14 DR. KOZAUER: Sure. I can start off the
15 answer, and if Dr. Dunn or Temple might want
16 to -- someone else might want to jump in as well.

17 Certainly, specific situations require
18 consideration in the context of the data that are
19 provided for a given application. For example, you
20 can have a situation where a drug may have a high
21 prior expectation of efficacy, approved in a number
22 of different indications already, or different

1 mutations for one disease that you conduct a study,
2 and for some reason it misses closely on the
3 primary analysis, but there's a high prior.

4 There are situations where the primary
5 analysis may just barely positivity, but there was
6 a preplanned pooled analyses that was designed
7 before knowing the data from any of these trials.
8 There are considerations that are unique for every
9 application, and I think that's how you have to
10 consider that.

11 DR. TEMPLE: I spent some time trying to
12 think of examples of that sort of thing, and there
13 certainly are some where a study didn't win on its
14 primary endpoint, and we eventually approved it,
15 usually though based on other highly supportive
16 data from controlled trials.

17 There are very few such examples. There are
18 a couple with post-infarction beta blockade, where
19 a study didn't win on the combined endpoint of
20 death plus hospitalization, but won on death.
21 Well, it's easier to get excited about death, but
22 it's extremely unusual for all the reasons I gave.

1 Everybody worries about even a very plausible
2 endpoint that wasn't selected prior to the fact,
3 and then emerged later.

4 So there really are not very many examples.
5 I can't think of any in neurology at all, or psyche
6 for that matter. You never say never, but it's
7 very unusual, for all the reasons we gave. There
8 are too many opportunities for error.

9 MR. SOUZA: Dr. Alexander, may we address
10 that question as well?

11 DR. ALEXANDER: Sure. Briefly, please.

12 DR. McINTOSH: In terms of the question of
13 flexibility, there are other examples, and we do
14 understand every case is unique. For us, this is a
15 big question in terms of how do we apply
16 flexibility in the light of our data? FDA has
17 presented their view and we have presented ours.

18 There are specific examples, and I'll show
19 if I can put my slide up, of flexibility.

20 DR. ALEXANDER: I want to focus this time
21 primarily on questions specifically for the FDA, as
22 we did previously for the sponsor.

1 DR. McINTOSH: Sure.

2 DR. ALEXANDER: So if you have a very brief
3 comment, that would be fine.

4 DR. McINTOSH: Yes. I mean the examples
5 that we have is Kalydeco, as an example, where
6 there was a failed study. They saw that there was
7 an effect in younger kids; there was effect in
8 adults. There was a clear understanding from the
9 natural history. They approved that. Remodulin
10 was another example, two failed studies.

11 We just to understand the view on the FDA
12 in terms of flexibility and how it can be applied.

13 DR. ALEXANDER: Thank you. Dr. Mielke?

14 DR. MIELKE: I have two questions. One was
15 giving back in terms of the mechanism of the drug
16 and understanding the CF data in light of the
17 current data. Am I correct in interpreting that
18 there should generally be -- or the mechanism is
19 the same in both of the missense mutations, and
20 that there should be an effect for both the CF as
21 well as DMD? Because it's come up a couple times,
22 and I think the sponsor had mentioned also that

1 there are two mutations, but I'm just trying to
2 figure out what the CF data mean in light of the
3 current indication.

4 DR. KOZAUER: I'm not sure if Dr. Weaver
5 wants to add to this. Our understanding is that
6 the mechanism of ataluren is that it should be able
7 to read through all nonsense mutations, which seems
8 like it would be relevant for these different
9 diseases.

10 DR. TEMPLE: Can I comment there? There are
11 two issues here. One is, does it make you worry
12 about the whole mechanistic explanation here?
13 That's one point. The other is it's living,
14 breathing example of how a subset analysis that
15 looked plausible didn't work out. That's a
16 separate and distinct question.

17 DR. DUNN: I completely agree on similar
18 points. Those are the two main issues. Biological
19 plausibility. There may be subtle differences, of
20 course, in the difference, but the main approach to
21 how it works raises the issue of biological
22 plausibility, and its inability to do so. At least

1 at some level, a similar mechanism is crucial.
2 Then again, the lessons learned from the very,
3 strikingly similar path that that took, we think
4 are quite relevant.

5 DR. MIELKE: Okay. And --

6 DR. ALEXANDER: Thank you. Dr. Kesselheim?

7 DR. MIELKE: Can I ask another question?

8 DR. ALEXANDER: I'm sorry. Go ahead,
9 Dr. Mielke.

10 DR. McINTOSH: First, let's hear the
11 question, because I'd just like to -- I think it's
12 a very important point. Cystic fibrosis is an
13 entirely different disease. With cystic fibrosis,
14 you have a CFTR protein, and it has to be
15 trafficked and then activated in the membrane in
16 order to be functional.

17 There are two competing nonsense mutations,
18 so it's very different from DMD. And I don't think
19 you draw parallels. We did show in phase 2 that we
20 did replace CFTR. The question is, can you replace
21 enough to reverse the trajectory of the disease?
22 Thank you.

1 DR. ALEXANDER: Thank you. Dr. Mielke, a
2 brief follow-up question?

3 DR. MIELKE: Dr. Temple originally presented
4 some data suggesting that ataluren was not
5 effective for the most recent trial for FEV1, and I
6 was wondering where that came from because that
7 wasn't Study 007. Was it a follow-up with
8 Study 020, or is that a completely different study?

9 DR. TEMPLE: No, I didn't present data on
10 FEV1, except for the study we just were talking
11 about.

12 DR. MIELKE: It was slide 10.

13 DR. TEMPLE: But that's from the cystic
14 fibrosis trial.

15 DR. MIELKE: Oh, that's cystic fibrosis.
16 Okay. Thank you.

17 DR. TEMPLE: Yes, and that came from their
18 press release. Again, the point that I was making
19 there is that a perfectly sensible, plausible
20 subset -- the subset they chose to study in the
21 second study was very reasonable because the drug
22 they dropped out and took away was interfering with

1 the whole effect of ataluren. So it was very
2 sensible to do that study, and it didn't show
3 anything.

4 DR. ALEXANDER: Thank you for that
5 clarification.

6 Dr. Kesselheim and then Dr. Perlmutter.

7 DR. KESSELHEIM: Hi. Dr. Kesselheim here.
8 Two questions. The first is whether, from the
9 FDA's point of view, accelerated approval was
10 considered in the context of the drug's effect on
11 dystrophin? And then a more technical question, on
12 Study 007, a point was made that there were 50
13 secondary endpoints tested, but it appears that a
14 much smaller number of secondary endpoints was
15 tested in Study 020, more like 3 or 4. I guess I
16 was wondering if the FDA knew why there were much
17 fewer secondary endpoints in that and if in fact
18 I'm interpreting that correctly.

19 DR. UNGER: This is Ellis Unger, FDA. The
20 first question was about accelerated approval,
21 whether we considered it. As I think people around
22 the table know, accelerated approval is when you

1 have substantial evidence of an effect on a
2 surrogate endpoint that you believe is reasonably
3 likely to predict clinical benefit. The company
4 didn't ask for that. The surrogate endpoint here
5 would be dystrophin, as you heard.

6 As you heard from our review staff, the
7 immunohistochemistry is not a quantitative method.
8 Although people have tried to make it out to be
9 quantitative, it's not. We had a number of issues
10 with the quality of the data. So we have a problem
11 with that.

12 But aside from that, and maybe more
13 importantly, is when you have clinical data, you
14 have data on a surrogate endpoint that seems
15 reasonably likely to predict clinical benefit, and
16 you have clinical benefit that doesn't bear out the
17 effect that you're hoping to see, then you really
18 are stuck. You really have no way to move forward
19 with accelerated approval on the surrogate when in
20 fact the clinical data are negative. That's the
21 problem.

22 In terms of the numbers of secondary

1 endpoints, I think that might be something you
2 would want to ask the company this afternoon, in
3 terms of why they had certain numbers of secondary
4 endpoints. The point we were making, I think many
5 times, is that you can have as many secondary
6 endpoints as you want, and you can have as many
7 subgroup analyses as you want. But if you don't
8 control for the type 1 error rate, it's
9 meaningless.

10 MR. SOUZA: I just want to clarify the
11 question asked five different times for the
12 conversion to accelerated approval, and they were
13 never considered by the FDA, so that assertion is
14 not correct. There was, nevertheless, offer to a
15 prior application in the base of the
16 6-minute walking distance as an intermediate
17 outcome, as it could be, since subpart H is not
18 only a surrogate likely to predict.

19 So in both cases, we believe it would
20 qualify, and we have a request included in the
21 briefing materials in the dispute resolution that
22 we provided to this committee.

1 DR. ALEXANDER: Okay. I'm still interested
2 in the answer to Dr. Kesselheim's second question.
3 Do you want to discuss the accelerated approval?

4 DR. TEMPLE: Accelerated approval does not
5 represent a lower standard of evidence, okay?
6 Whether it's a surrogate, there needs to be good
7 evidence on the surrogate. We wouldn't consider it
8 unless there was. For an intermediate endpoint,
9 that's more complicated. That means an endpoint we
10 don't think quite makes the clinical benefit
11 apparent, but you'd still have to show that it was
12 real.

13 We consider increased walking distance a
14 perfectly valid endpoint for full approval, and if
15 they had shown that to our satisfaction, the drug
16 would have been approved. But data that you don't
17 trust is not a basis for accelerated approval.

18 DR. ALEXANDER: Thank you. We have several
19 more questions, but we will end shortly, but I want
20 to give the sponsor an opportunity to answer the
21 question why there were so many fewer secondary
22 endpoints selected in study -- or proposed for

1 Study 020 than 070 [sic].

2 DR. McINTOSH: Thank you very much. When
3 007 was designed, it was the first
4 placebo-controlled study in nonsense DMD, and at
5 the time the evolution of endpoints for DMD was
6 quite primitive. In fact, this was the first study
7 to ever use the 6-minute walk test.

8 In that study, we added the standard
9 measures associated with clinical practice, which
10 are the timed function tests, all four of them, as
11 well as 6-minute walk test. We did add a series of
12 other exploratory assessments to try and get a
13 better understanding of whether these endpoints had
14 utility in DMD. We had digital finger span, we had
15 heart rate assessments, et cetera.

16 So what we're trying to do is further
17 science and understand how these endpoints
18 performed. Based on that, we selected endpoints
19 that we know are better. We know the 6-minute walk
20 test, despite its ceiling and floor effect and the
21 problems with it, can be used as long as you select
22 your patient population, and the TFTs have real

1 relevance to the clinic, because they are used in
2 the clinic. And those are the endpoints we
3 presented today.

4 DR. ALEXANDER: Great. Thank you.
5 Dr. Perlmutter, and then we'll do Mr. Watkins and
6 Dr. Fountain, and then we'll conclude.

7 DR. PERLMUTTER: Joel Perlmutter. I have
8 two statistical questions. First for
9 Dr. Bhattaram, you mentioned that potentially you
10 could explain the inverted U clinical finding
11 between the low and the high level of drug. Based
12 upon that baseline 6-minute walk finding or data,
13 did you do a correlation to see if that actually
14 related to the outcome?

15 DR. BHATTARAM: I mean we did think about
16 when we saw this invert, these differences in the
17 baseline, how to address them in the analysis. But
18 as Dr. Tandon had mentioned, there are multiple
19 factors that need to be accounted for which
20 describe the progression as reflected in
21 6-minute walk distance, and that requires a
22 combination of several prognostic factors. We're

1 not sure how to do that, so that's why we just
2 presented the findings as you see there.

3 DR. McINTOSH: We can address that because
4 we were very aware of those prognostic imbalances,
5 and that was an analysis to try and understand the
6 dose response. So what we did was built a PK/PD
7 model. And the beauty about the PK/PD model is
8 that it adjusts for these imbalances in baseline.

9 So that was just a preliminary analysis. We
10 built a full model to explore the dose. We've got
11 our PK/PD modeler who can discuss the dose because
12 I feel that that analysis is a little misleading if
13 you don't adjust for those baseline covariants. If
14 you allow our PK/PD modeler, he'll take you through
15 our dose response.

16 DR. ALEXANDER: Why don't we after lunch,
17 time permitting, have an opportunity for you if you
18 want to have a brief comment to address some of the
19 remaining questions for the FDA in this last few
20 minutes, please?

21 DR. PERLMUTTER: Then my follow-up question
22 is, we keep hearing about going back and looking at

1 forest plots, where you see a bunch of things on
2 the right side and not on the left. And what I
3 haven't heard is, sure, if you flip a coin a whole
4 bunch of times, you may average it, but if those
5 different measures are not independent, you're
6 flipping the same coin, or you're biasing your
7 other coin flips.

8 Is there any thoughts about that or should
9 we address that?

10 DR. ALEXANDER: Does the FDA want to address
11 that?

12 DR. TEMPLE: You can tell me if I understand
13 the question right. There's no question that when
14 a trial wins on its primary endpoint, we are very
15 interested in looking at various subsets and being
16 informed by that. That's not the same as losing
17 overall, and then finding a subset on your forest
18 plot that looks like it's pretty good. That is
19 very, very unusual or never. It's hardly ever
20 done.

21 I just want to make it clear. We are very
22 interested in possible differences, demographic,

1 etiologic, all those things, once you've shown that
2 the drug works. And that's the point here. We
3 don't object to looking at subgroups and trying to
4 figure out how various baseline characteristics
5 might influence the result. That's very important.
6 It's very important to look at those things. But
7 we have not believed that you can save a failed
8 study that way.

9 DR. ALEXANDER: Mr. Watkins and then
10 Dr. Fountain briefly.

11 I'm sorry. Dr. Bastings?

12 DR. BASTINGS: Yes. To expand on what
13 Dr. Temple said, I think it's a fair point that
14 these various endpoints are not completely
15 independent. They measure related domains, so
16 there is some expectation that if you identify a
17 group of patients who did overall better in the
18 study, that you may expect to see some related
19 movements in various endpoints that measure similar
20 domains. I think that's certainly a consideration
21 that can be made.

22 DR. ALEXANDER: Thank you. Mr. Watkins?

1 MR. WATKINS: Yes. Jeff Watkins. I'm
2 trying to get a better understanding of the first
3 bullet point in slide 69 where you say both doses
4 negative when compared to placebo. I understood
5 that the high dose basically was very similar, if
6 not worse, to the placebo, so I know you're talking
7 about statistical negativity here.

8 My question is how negative, or how close,
9 was the low dose to being statistically
10 significant? Because I don't understand the
11 numbers. It was a long time ago when I took that
12 class.

13 DR. ALEXANDER: Okay. I'm sure you're not
14 the only one thinking the same thing. Can the FDA
15 address how close was the low dose in Study 007 to
16 statistical significance, or how does one interpret
17 the assessments that were done of the statistical
18 significance of the low dose in that study?

19 DR. KOZAUER: Sure. Our statistician may
20 want to comment more as well. But the adjusted
21 p-value for the low dose was 0.3, where
22 significance would be 0.05. So we wouldn't

1 consider that really close to being significant.

2 DR. DUNN: And actually it was it 0.025 or
3 0.05.

4 DR. ALEXANDER: Finally, Dr. Fountain?

5 DR. FOUNTAIN: It's a little bit of a
6 related question, but might also have a brief
7 answer. It has to do with the FDA analysis on
8 slides 29, 30, and 31. And the question is, is
9 this the same population that was analyzed in the
10 sponsor's analysis, or is this a refined or
11 different population or group? This may not be the
12 case, but it seems like there was a lot of p-values
13 to keep track of. It seems like sometimes some are
14 close and some are not.

15 So my question is about, for instance, if we
16 went to slide 29, 30, or 31, is this a group that's
17 different from that analyzed by the sponsor or is
18 it the same group?

19 DR. LING: For slides 29, that's for the
20 secondary endpoints. That's for ITT population.
21 Can you repeat your question?

22 DR. FOUNTAIN: Yes. This says ANCOVA with

1 multiple imputations. So that's corrected for
2 multiple analyses or not?

3 DR. LING: Multiple imputation is for
4 imputing the missing data.

5 DR. FOUNTAIN: Okay. So this doesn't
6 account for the multiple imputations.

7 DR. LING: No.

8 DR. FOUNTAIN: Okay. Thank you. I just
9 wanted to clarify that.

10 DR. ALEXANDER: Okay. Thank you very much.
11 That concludes this morning session and early
12 afternoon session. We'll adjourn for lunch. We'll
13 reconvene again promptly at 1:45. Please take any
14 personal belongings with you that you may want at
15 this time. Committee members, please remember that
16 there should be no discussion of the meeting during
17 lunch amongst yourselves, with the press, or with
18 any member of the audience. Thank you.

19 (Whereupon, at 12:52 p.m., a lunch recess
20 was taken.)

21

22

A F T E R N O O N S E S S I O N

(1:20 p.m.)

Open Public Hearing

DR. ALEXANDER: Thank you. We'll reconvene the meeting at this time, and this is the beginning of the open public hearing session.

Both the Food and Drug Administration and the public believe in a transparent process for information-gathering and decision-making. To ensure such transparency at the open public hearing session of the advisory committee meeting, the FDA believes it's important to understand the context of an individual's presentation.

For this reason, the FDA encourages you, the open public hearing speaker, at the beginning of your written or oral statement to advise the committee of any financial relationship that you may have with the sponsor, its product, and if known, its direct competitors. For example, this financial information may include the sponsor's payment of your travel, lodging, or other expenses in connection with your attendance at the meeting.

1 Likewise, the FDA encourages you at the
2 beginning of your statement to advise the committee
3 if you do not have such financial relationships.
4 If you choose not to address this issue of
5 financial relationships at the beginning of your
6 statement, it will not preclude you from speaking.

7 The FDA and this committee place great
8 importance in the open public hearing process. The
9 insights and comment provided can help the agency
10 and this committee in their consideration of the
11 issues before them.

12 That said, in many instances and for many
13 topics, there will be a variety of opinions. One
14 of our goals today is for this open public hearing
15 to be conducted in a fair and open way where every
16 participant is listened to carefully and treated
17 with dignity, courtesy, and respect. Therefore,
18 please speak only when recognized by the
19 chairperson. Thank you for your cooperation.

20 Will the first speaker step to the podium
21 and introduce yourself? Please state your name and
22 any organization you're representing, for the

1 record.

2 MS. WOOD: Good afternoon and thank you for
3 taking the time to listen to us. My name is Teresa
4 Wood, and this is my son, Matthew Harrison. Our
5 travel and hotel have been provided by PTC.

6 Matthew is 15 years old and was diagnosed
7 with Duchenne at the age of 7. At the time of
8 diagnosis, we were told that Matthew would stop
9 walking between the ages of 10 to 12, he would lose
10 the ability to feed himself and breathe on his own,
11 and would eventually succumb to the disease by the
12 age of 20.

13 At the time, the only therapy for the
14 disease was corticosteroids like prednisone. While
15 this slowed down the progression and stopped the
16 random falls, we were always looking for a
17 meaningful and long-term solution. After searching
18 the internet and speaking with his doctors, we
19 learned about a clinical trial of a
20 mutation-specific drug named PTC124 or later,
21 ataluren. However, I learned that Matthew would be
22 unable to join the study as it was not currently

1 open to new patients.

2 Knowing the progression of the disease, as
3 each year passed I wondered what the next year
4 would bring. When would he start falling again?
5 Would he wake up one morning and be unable to feel
6 his legs? Would his heart or lungs begin to fail?
7 We were forced to watch and wait.

8 Eventually, the ataluren trial did reopen.
9 He was eligible to participate, and was enrolled in
10 the phase 3 efficacy and study in February 2014.
11 He moved to the phase 3 extension study in January
12 2015, and finally the phase 3 open label in May
13 2017.

14 We have continued in the trial because we
15 believe in what we are seeing. Matthew hasn't had
16 any side effects to the drug, and he has maintained
17 every physical ability he had prior to the trial.
18 Not only is Matthew able to walk and run, but he
19 can perform activities of daily living like
20 dressing himself and brushing his teeth. Prior to
21 the trial, he couldn't get into the car without
22 assistance, and just recently I noticed that he

1 does it without assistance with ease.

2 Matthew's providers are continually
3 impressed by his strength. Recently, I had back
4 surgery, and when I drop things, he can bend over
5 and pick them up for me. This year he has joined
6 Future Farmers of America and is raising a goat.

7 I know that there are some who would simply
8 call him an outlier, but I don't agree. Our
9 neurologist, Dr. Brenda Wong, a leading expert in
10 Duchenne, told us at his last visit that he should
11 continue walking into his twenties.

12 Saying he is an outlier is insinuating that
13 his achievements are pure luck. However, I say
14 that the only difference between him and the boys
15 that are not walking, not on this trial, is
16 ataluren. My hope is that other boys are given the
17 same opportunity to be an outlier.

18 DR. ALEXANDER: Thank you very much. Will
19 speaker number 2 please step to the podium and
20 introduce yourself? Please state your name and any
21 organization you're representing, for the record.

22 MS. MILLER: Hello. My name is Debra

1 Miller. I'm the CEO and founder of CureDuchenne,
2 who has paid for my travel here. Thank you to the
3 FDA and to this committee for giving me, and all
4 these families here, the opportunity to speak
5 today.

6 We're so thankful the FDA convened this
7 meeting so that all the data surrounding ataluren
8 can be carefully reviewed by this panel of outside
9 experts. The whole community appreciates your
10 effort to take a fresh look, and a fair look, at
11 all the data, and the real-world experience with
12 this drug. CureDuchenne believes that the totality
13 of the data supports ataluren's approval.

14 It is our hope that the data presented today
15 in the briefing materials, in this morning's
16 presentations, and Q&A sessions, and what is being
17 reported by families and by healthcare
18 professionals during this open public hearing, will
19 provide this committee the information it needs to
20 guide FDA towards a path forward in making sure
21 ataluren remains available to boys in the U.S.

22 There is no denying this is hard disease to

1 study, and as science advances, we've learned more,
2 especially how the disease does advance. So what
3 do we now know that we didn't know before PTC
4 started studying Duchenne?

5 We know that once muscle is gone, it's gone
6 forever. We know that studying the so-called
7 transition phase is helpful in providing evidence
8 of treatment effect in a one-year study. We know
9 that the boys in this room today, and those not
10 strong enough to travel here today, do not have
11 time for the FDA and the drug companies to design
12 the perfect trial to definitively prove ataluren's
13 benefits.

14 The FDA has acknowledged that accidental
15 falls were reduced in the ataluren-treated group.
16 Many Duchenne boys stop walking forever because of
17 fractures due to accidental falls. The incidence
18 of fat embolism syndrome also increases with these
19 fractures, and both of these consequences are
20 serious and can be helped with ataluren.

21 If we wait to approve drugs now, we lose
22 this generation of boys. Patients know this is not

1 a cure, but slowing down the progression of this
2 horrible disease, buying boys time, preserving
3 function, it's important to us. Every added year,
4 every month, every added day is priceless to the
5 families in this room. Every added moment is
6 another hug, an additional smile with our sons.

7 My son, Hawken, is 20 years old with
8 Duchenne, and I can tell you each moment is truly
9 priceless. I ask you to look at all the data,
10 including the case studies and patient experience
11 described during this open public hearing, and then
12 work with the FDA to make sure our boys can
13 continue with their ataluren treatment. Thank you
14 very much.

15 DR. ALEXANDER: Thank you. Will speaker
16 number 3 please step to the podium and introduce
17 yourself? Please state your name and any
18 organization you're representing, for the record.

19 MS. GUNVALSON: My name is Cheri Gunvalson.
20 I'm a clinical assistant professor of nursing, and
21 I'm here today with our son, Jacob, who will be 26
22 next week. Our travel was supported by PTC.

1 Two years after losing his ability to walk,
2 Jacob began in the non-ambulatory, open-label arm
3 of the ataluren trial. I urge you to look at
4 Jacob's real life experience and data on this drug,
5 as Dr. Gottlieb recently said the FDA needs to do.

6 Dr. Brenda Wong, the lead pediatric
7 neurologist at one of the world's largest Duchenne
8 centers, finds Jacob relatively stable. His
9 pulmonary function tests are great with an FEC of
10 75. He's never had pneumonia. He hasn't had an
11 antibiotic in 10 years. When the trial was stopped
12 for 10 months, Jacob experienced drastic decline.
13 He's had zero side effects from the drug.

14 Since starting on the ataluren eight years
15 ago, Jacob has experienced the benefit of
16 stability. Keep in mind, he started on this drug
17 two years after he lost ambulation, and he has been
18 wheelchair bound for 10 years. We know the natural
19 history of Duchenne, that once patients are
20 non-ambulatory, they experience drastic decline in
21 pulmonary function, which leads to pneumonia, a
22 ventilator, and death.

1 As a patient representative on the previous
2 advisory panels, during my FDA training, we were
3 urged to weigh the risk-benefit analysis. There's
4 no question the efficacy and benefits of this drug
5 far outweigh the risks. We know what the future
6 holds without this drug. Most of Jacob's friends
7 from MDA camp his age are dead.

8 MR. GUNVALSON: [Inaudible - off mic]
9 efficacy. I have reached many of the goals in my
10 life, I add, that I would not have been able to
11 reach without it. I can work, live independently
12 and be a productive member of society as a social
13 worker.

14 Throughout college, I never had to use a
15 notetaker or an aid. When I interned for the
16 Minnesota governor, and at an institute for mental
17 diseases, I did so without an aid. I'm able to
18 type for long hours and don't have to rely on
19 others to use my urinal, cell phone, feed myself,
20 or reach out to hold a woman's hand.

21 My successes are not supposed to be possible
22 with Duchenne, but I'm sitting here today showing

1 you what is possible with ataluren. Sadly, for
2 those not on the drug, the future is death. A
3 young man in my area, several years younger than
4 me, with the same mutation, is not on ataluren. He
5 is now bed bound, totally dependent on a
6 ventilator. He does not have time for another
7 clinical trial. He needs ataluren now.

8 Twenty-five other countries have already
9 approved this drug. The ball is now in your court.
10 Approve this drug to save our lives and allow me to
11 keep working and thriving, or deny it and allow us
12 to continue to die.

13 MS. GUNVALSON: Thank you.

14 DR. ALEXANDER: Thank you. Will speaker
15 number 4 please step to the podium and introduce
16 yourself? Please state your name and any
17 organization you're representing, for the record.

18 MS. JOHNSON: My name is Joanna Johnson.
19 I'm here with my husband, Paul, and my two sons,
20 Elliot, and Henry. Our travel and hotel were paid
21 for by PTC.

22 Elliot is nearly 14 and was in Study 007,

1 and is now in the extension program. Henry is 11,
2 and was in Study 020, and also is now in the
3 extension program. My sons experience real,
4 meaningful benefits from ataluren and it merits FDA
5 approval.

6 During one study visit about a year into
7 treatment in 2009, Dr. Richard Finkel was so
8 surprised to see that Elliot no longer showed a
9 Gowers maneuver, that he brought two PTs over to
10 watch Elliot get up from the floor to confirm what
11 he was seeing.

12 A school PT report stated, "In the beginning
13 of 2009, Elliot ascended stairs two feet per step
14 holding the railing." Five months later, it stated
15 that he could ascend a flight of stairs alternating
16 feet without the railing.

17 These are the kind of functional benefits
18 that were noted in the North Star Ambulatory
19 Assessment results from Study 020. These things
20 mean more independence for a longer period of time,
21 keeping up with peers, or even being able to go to
22 a friend's house; truly meaningful benefits.

1 In March 2010, PTC terminated the trial
2 because of a dosing issue, and the benefits that we
3 were seeing began to disappear. Elliot went back
4 to a spider crawl up the stairs, back to feeling
5 fatigued easily, back to showing a typical Gowers
6 maneuver. We fought to get him back on the drug,
7 and we were finally provided access 14 months
8 later.

9 Henry was eligible to be screened for
10 Study 020 in January of 2014, but walked too far
11 and too fast, and was excluded. He declined
12 significantly enough over the next nine months to
13 be included in the study, and started in the trial
14 in September of 2014.

15 Henry's decline before ataluren highlights
16 that boys with Duchenne cannot wait to get access
17 to this drug. Despite the fact that I have seen
18 firsthand that ataluren is slowing their
19 progression, they will never get back what ability
20 they lost while not on drug.

21 However, at almost age 14, Elliot is
22 watching his friends with Duchenne transition to

1 wheelchairs, yet he is still ambulatory. His
2 brother, Henry, still has the ability to play
3 soccer with his friends. At this age, we should be
4 seeing a more steady, rapid decline, but thankfully
5 we are not.

6 There is still so much we do not understand
7 about this disease. It may be impossible to design
8 the perfect trial that demonstrates statistical
9 significance within the time constraints and other
10 limitations of clinical trials. Furthermore, not
11 all drugs work the same for all people. Different
12 options and classes of drugs exist for many
13 diseases. We cannot wait for the perfect study.
14 Ataluren can change the trajectory of this disease
15 and we can continue to build upon its success.
16 Thank you.

17 DR. ALEXANDER: Thank you. Will speaker
18 number 5 please step to the podium and introduce
19 yourself? Please state your name and any
20 organization you are representing, for the record.

21 MS. LOPEZ DE NAVA: Hello everybody. My
22 name is Azucena Lopez de Nava. Our son, Romero,

1 has been the ataluren Study 020 in UCLA. He went
2 to an extension and continued extension. He has
3 been in the trial for almost three years. My
4 travel and hotel were covered by PTC.

5 Let me tell you about my son, Romero. He
6 was diagnosed six years ago with DMD. So the first
7 day, it was no hope for my son, until his doctor
8 told us about this trial called ataluren. So we
9 decided to participate.

10 As I mentioned before, our son has been in
11 the trial for almost three years, and since the
12 beginning, he was very stable. All the time, he
13 finished the 6-minute walk without any problem and
14 conclude very well other tests. He continues
15 swimming and do other things by himself.

16 Until this year that the trial has to stop
17 in UCLA, he was off of the medicine, like about six
18 weeks, and we can see immediately the difference.
19 He felt very insecure walking around the house. He
20 asked for help and assistance more often than
21 before. He started using his scooter inside the
22 home for moving around, which before he only uses

1 for long distance or at school.

2 Now he's back on the trial, and he's getting
3 more energy and better stability. He's still able
4 to walk around in the house. He's 12 years old,
5 and his doctor said he's very lucky to be in the
6 trial. Not to mention he had never felt any side
7 effect on the ataluren.

8 To conclude, I really believe ataluren
9 deserves to be approved. It will be a help for
10 thousands of children with DMD if they start
11 younger with this medicine. Thank you very much.

12 DR. ALEXANDER: Thank you. Will speaker
13 number 6 please step to the podium and introduce
14 yourself? Please state your name and any
15 organization you are representing, for the record.

16 MR. PIACENTINO: Hello. My name is Jonathan
17 Piacentino, and I'd like to speak on behalf of the
18 adult and adolescent patients who have been on
19 ataluren by sharing my personal experience with
20 taking this drug. PTC Therapeutics has covered my
21 travel and lodging expenses.

22 First, I would like to state that my

1 diagnosis is that of a true Duchenne MD patient
2 diagnosed in 1997. Thus far, I've participated in
3 Study 004 in 2006, Study 007 in 2009, which was
4 then truncated in early 2010, and then continued
5 active participation within the extension study
6 since November of 2010.

7 Now I would like for someone to start a
8 short clip of myself receiving my high school
9 diploma in June of 2011, as well as my Eagle Scout
10 ceremony two months prior. I was 17 at this time.

11 In both segments, you can see that I'm able
12 to walk unhindered. During this time, I could
13 traverse my entire high school campus with the aid
14 of a double decker shopping cart, commonly found in
15 most grocery stores. This served to stabilize my
16 body and conserve energy each day. My backpack of
17 school material as well was also unloaded into said
18 cart. As you can expect, I wasn't just walking,
19 but pushing weight simultaneously.

20 The majority of Duchenne MD patients
21 unfortunately become permanently wheelchair bound
22 prior to this age, and thus don't have to deal with

1 the complications of constantly maintaining balance
2 while they walk, let alone adding any form of
3 weight to this daily routine.

4 During this time, I also fractured my back
5 and suffered fractures to my feet as well, and was
6 still able to walk while these injuries healed, and
7 continue to walk thereafter. To emphasize, I
8 walked an additional four years afterward,
9 throughout college. It wasn't until late August of
10 2015 when I became permanently wheelchair bound at
11 the age of 22.

12 While I have lost the ability to walk, I
13 suffer no severe side effects from taking drug, and
14 I currently do not suffer any cardiac or pulmonary
15 complications either, that plague most Duchenne's
16 patients my age. Just as well, I do not require
17 oxygen therapy or the use of breathing aid during
18 night hours.

19 To put this into perspective, my FEV1 over
20 FEC score is 96 percent. Compare this to the
21 normal pulmonary function of individuals without
22 muscular dystrophy, anything 80 percent or higher

1 is considered healthy.

2 I attribute my success to the use of
3 ataluren in these past 11 years, and would like to
4 be able to receive drug in the years to come. I
5 don't have the time to wait for the perfect trial's
6 results in order to successfully attain this drug.
7 Thank you for your time.

8 DR. ALEXANDER: Thank you very much, and
9 we'll either return to you or we're still working
10 on presenting the video that I think you had
11 submitted as part of your testimony, so thank you
12 very much. We'll return to try to include that
13 video. Thank you for your comments.

14 We'll now turn to speaker number 7. Please
15 step to the podium and introduce yourself. Please
16 state your name and any organization you're
17 representing, for the record.

18 MR. WAGNER: Hi. My name is Josh Wagner,
19 and my hotel and travel here today were paid for by
20 PTC Therapeutics. I participated in the 007 study,
21 and I'm in the extension study. With the exception
22 of 2010 suspension and one other interruption, I've

1 been on ataluren for 10 years.

2 I am now 24 and was diagnosed with muscular
3 dystrophy just before my first birthday.

4 Throughout my childhood, this illness shaped my
5 daily reality. I couldn't run and jump with my
6 classmates, and by the end of grade school, I was
7 navigating much of my world with the use of a
8 motorized scooter.

9 Night splints, orthotics, PT and OT were
10 part of my life ever since I can remember. After
11 walking or standing for more than 10 minutes, my
12 muscles would get so tight that I'd collapse into a
13 chair with my legs straight, unable to bend. By
14 sixth grade, I was crawling up the stairs to my
15 bedroom, and my parents made a new room for me on
16 the first floor of our house, and rendered a ground
17 floor bathroom wheelchair accessible.

18 When I was in ninth grade, I was accepted
19 into the ataluren 007 study. My life has not been
20 the same since. Halfway through high school, I
21 stopped using my motorized scooter. I began
22 getting strength and endurance, and by my senior

1 year, I had learned to drive and could walk from
2 the school parking lot to classes.

3 That same year, I recall taking a mile long
4 hike with my family; a feat that had been
5 unimaginable in the past. During college I
6 rebelled briefly by taking ataluren erratically, if
7 at all. I definitely fatigued more quickly and my
8 school bag felt heavier. Not surprisingly, it was
9 a short lived rebellion.

10 In the last few years, I've started
11 exercising regularly, eventually losing 35 pounds.
12 I still experience fatigue if I walk very long
13 distances, but the feeling is nothing like how it
14 was prior to ataluren. My scooter sits in my
15 parent's garage as, for now, I am completely
16 ambulatory.

17 When I was little I lived with the
18 understanding that I would lose strength.
19 Recently, I've experienced something I had never
20 dreamt. I've grown stronger rather than weaker.
21 With ataluren's help, I have overcome obstacles
22 that used to seem insurmountable, and I now live

1 independently.

2 I've experienced no negative side effects.
3 I see no reason why this drug should not be
4 approved to help other boys and young men like me.
5 Thank you.

6 DR. ALEXANDER: Thank you very much. Will
7 speaker number 8 come to the podium and introduce
8 yourself? Please state your name and any
9 organization you're representing, for the record.

10 MR. ELNABARAWY: Good afternoon. My name is
11 Tamir Elnabarawy, and I'm a legislative assistant
12 in Congressman Peterson's office. I do not have a
13 financial relationship with the sponsor. Although
14 the congressman is unable to join us, he has asked
15 me to deliver the following remarks on his behalf.

16 "Thank you for the opportunity to speak on
17 behalf of Minnesota's 7th District regarding
18 ataluren's application for approval. The timely
19 delivery of this treatment is of the utmost
20 importance to the Duchenne community.

21 "One of my constituents, Jacob Gunvalson,
22 spoke earlier to share his experience with

1 Duchenne. Jacob was not expected to live past his
2 teenage years, but access to ataluren has allowed
3 him to live and thrive well into his twenties with
4 no side effects. Jacob recently completed a very
5 successful internship in Governor Dayton's office
6 in Minnesota.

7 "During my time in Congress, I've
8 consistently supported several measures to ensure
9 that my constituents can benefit from the
10 lifesaving therapies the way that Jacob has.

11 "The 2012 Food and Drug Administration
12 Safety and Innovation Act, or FDASIA, enhanced the
13 FDA's ability to speed patient access to safe and
14 effective products. In particular, the legislation
15 helped develop and implement accelerated approval
16 programs to provide patients with therapies if they
17 suffer from rare, debilitating, and/or 100 percent
18 fatal diseases.

19 "Under FDASIA, treatments that benefit
20 Duchenne patients warrant consideration for full
21 approval. Such an approach is consistent with the
22 FDA's balanced review of eteplirsen, another

1 Duchenne therapy that was granted accelerated
2 approval in September 2016.

3 "More recently, Congress passed the
4 21st Century Cures Act, which recognized the
5 essential role that patient advocates play in the
6 development of drugs and medical devices. It is my
7 hope that in keeping with this legislation, the FDA
8 will enhance its efforts to incorporate patient
9 experience into its regulatory evaluations and
10 decision making.

11 "As there are no alternative therapies for
12 this particular form of Duchenne eligible for
13 purchase or approval in the United States, patients
14 are left unable to mitigate the effects of the
15 deadly disease. The full consideration of ataluren
16 not only fulfills the congressional intent of
17 FDASIA and the 21st Century Cures Act, but also the
18 potential to save lives across the nation."

19 Thank you.

20 DR. ALEXANDER: Okay. We're going to show
21 the video associated with speaker number 6,
22 Mr. Piacentino. And Mr. Piacentino, if you want to

1 come briefly to the microphone, and again tell us
2 what we're watching here, I'd welcome you to do so.

3 MR. PIACENTINO: Okay. To reiterate, both
4 of these segments in the video are from my high
5 school graduation in June of 2011 --

6 DR. ALEXANDER: Oh, I'm sorry. Let's wait
7 and just be sure we have it up successfully.

8 MR. PIACENTINO: I apologize.

9 DR. ALEXANDER: No, no. That's fine. I
10 appreciate your coming back, and we'll give it
11 another try. Go ahead, please.

12 MR. PIACENTINO: So to reiterate, both of
13 these segments are from my high school graduation
14 in June of 2011, as well as my Eagle Scout ceremony
15 from two months prior. I was 17 at this time, and
16 if we can be able to see the video, you can clearly
17 see that I'm walking unhindered. There we go.

18 (Video played.)

19 MR. PIACENTINO: By this age, at the age of
20 17, most individuals with Duchenne muscular
21 dystrophy are permanently wheelchair bound. And
22 the photo at the end is a photo of me from my

1 college graduation in the year of 2015, where I was
2 permanently wheelchair bound and had to take the
3 stage within my power chair.

4 DR. ALEXANDER: Okay. Thank you very much
5 for sharing that.

6 Will speaker number 9 please come to the
7 podium and introduce yourself? Please state your
8 name and any organization you are representing, for
9 the record.

10 MR. RODRIGUEZ: Good afternoon. My name is
11 Chris Rodriguez. My wife, Diane, and I are from
12 Davenport, Florida, and our travel today was
13 sponsored by PTC Therapeutics.

14 We're today to discuss our 5-year-old son,
15 Benjamin, and his experience with ataluren.
16 Benjamin has completed the pediatric study and is
17 now enrolled in the extension study. Prior to
18 takin ataluren, Benjamin showed the typical
19 symptoms that we see in the early stages of
20 Duchenne. He had difficulty walking up stairs. He
21 was unsteady on his feet. He couldn't step up or
22 down from a curb without assistance. He couldn't

1 run or jump, and he was actually diagnosed with
2 mild osteoporosis because of his steroid treatment.

3 But when Benjamin began taking ataluren, we
4 started to witness a number of physical
5 improvements within just one month. He started
6 walking up stairs more easily. His walking and
7 overall balance became much more stable. He could
8 step up or down a curb, or a small step, without
9 any assistance at all. And for the first time, he
10 could elevate his feet off the ground to run and
11 jump.

12 But the most surprising change that we
13 discovered was that his bone density measured in
14 the normal range, and he no longer had mild
15 osteoporosis after eight months of treatment on
16 ataluren.

17 This type of finding is undocumented in
18 ataluren studies, but it is an extremely relevant
19 example of what benefit the drug can have, based on
20 empirical data. These improvements provide
21 qualitative and quantitative evidence of ataluren's
22 efficacy, and Benjamin has sustained each of the

1 improvements during the 15 months that he has been
2 on the drug. And besides all these improvements
3 that I have mentioned to you today, Benjamin has
4 also had zero side effects while taking ataluren.

5 In our minds, this drug provides significant
6 benefit with no downside.

7 MS. RODRIGUEZ: When Benjamin was first
8 diagnosed at 16 months old, we were told go home
9 and give him the best life you can, because in four
10 years, he will start to decline. November 26,
11 2017, will be exactly four years since those words
12 were spoken to us, and the complete opposite is
13 happening in his life.

14 Instead of decline and struggle, like most
15 boys his age with Duchenne, he is achieving
16 independence and catching up to his peers. Instead
17 of fear and heartache, our family now has hope.

18 Benjamin looks up to his older, 8-year-old
19 brother, and like most younger brothers, tries to
20 imitate every single thing he does. Without
21 ataluren, Benjamin will become a bystander,
22 watching his brother achieve physically what was

1 taken from him at such an early age.

2 Benjamin is just 5 years old, and he has a
3 whole life in front of him. The approval of
4 ataluren will, quite simply, change this. Thank
5 you.

6 DR. ALEXANDER: Thank you. Would speaker 10
7 come to the podium and introduce yourself? Please
8 state your name and any organization you are
9 representing, for the record.

10 MS. VERTIN: My name is Betty Vertin. My
11 husband, Jason, and our children stand with me.
12 Our travel and hotel were covered by PTC.

13 My family knows Duchenne. Half of our
14 children, three of our sons, have Duchenne. Max
15 was in Study 020, beginning February 2014, now in
16 the extension. Rowan [ph] and Charlie in PTC's
17 sibling access program, beginning July 2015, now in
18 the extension.

19 My family has experience with ataluren at
20 three different starting ages, and at three
21 different beginning strength and fatigue levels.
22 Ataluren is helping them all. It has been well

1 tolerated. Each of them maintain stable heart and
2 lung function.

3 Max is 11. His stamina lasts all day in
4 middle school on a campus of more than one
5 building. He also participates in extracurricular
6 activities. Max's progression of DMD has slowed.
7 Prior to starting ataluren, he was not able to
8 complete an entire Lego set without a break. At
9 11, he can put a 750-piece Lego set together
10 without a break. He can still ride a bike without
11 training wheels.

12 Max's neurologist at Cincinnati Children's
13 Hospital has commented, "I do think ataluren is
14 working," several times as she notes that as an
15 11-year-old he can still jump and have both feet
16 clear the floor, and get up from a seated position
17 without using hands.

18 Rowan is 8 and has high functioning autism
19 spectrum disorder in addition to DMD. The physical
20 symptoms of autism, like hypotonia and decreased
21 upper body strength, affect him. Rowan is the
22 weakest of my sons.

1 I have met other boys with DMD that at
2 Rowan's age are similar to Rowan in strength and
3 fatigue level. Those boys have not been on
4 ataluren. In comparison, Rowan's gait is better.
5 He waddles less than the other boys who's severely
6 affected. His lordosis is not as severe.

7 In anticipation of Rowan's ability to stop
8 using stairs, we built a ramp at home. I thought
9 he would lose the ability months ago, and yet he
10 can still manage 4 to 5 stairs. It's not pretty,
11 but he can do it independently. He needs ataluren
12 to maintain the function level that currently
13 exists. To lose access to this drug would be
14 detrimental to Rowan's quality of life and
15 independence.

16 Charlie is 6. He was able to start ataluren
17 when he was 4 and is stronger than either of his
18 brothers were at age 6. Starting ataluren at a
19 younger age has benefited him.

20 Charlie uses a motorized scooter for long
21 distances. Recently, it was in the shop. We went
22 to a high school football game and he ran around

1 with his friends. He did not tire. This was after
2 a full day of school. His stamina is like that of
3 a healthy peer.

4 Riding a bike without training wheels is a
5 feat for a child with Duchenne. Charlie started at
6 age 6, two years earlier than his brother with
7 Duchenne. The natural progression of Duchenne is
8 different in each of my sons, yet ataluren is
9 helping each of my children. Thank you.

10 DR. ALEXANDER: Thank you very much. Will
11 speaker number 11 please come to the podium and
12 introduce yourself? Please state your name and any
13 organization that you're representing, for the
14 record.

15 MR. M. SILVERMAN: Good afternoon. My name
16 is Mark Silverman, and I've travelled from London
17 with my son, Thomas, who was diagnosed with
18 Duchenne in 2007. I'm also national vice-chair of
19 Action Duchenne in the United Kingdom. PTC has
20 covered the cost of our travel and accommodation.

21 We're here on behalf of the many families in
22 the U.K. affected by the condition, including all

1 of those who are receiving ataluren, and have
2 submitted such compelling written testimonies to
3 you. It's fantastic to have Naomi Litchfield here
4 today. Naomi was a nurse working with families on
5 the PTC124 trials at Great Ormond Street Hospital
6 in London.

7 Thomas' diagnosis 10 years ago hit us very
8 hard. It took several months to get back on the
9 horse, but as the fog began to lift, we read about
10 PTC124. We read about the 007 trial, which our son
11 was just too young to enroll in. Progress seemed
12 glacially slow, and in 2011, I collected
13 testimonies from families across Europe to show PTC
14 Therapeutics how important it was that they
15 continued with these clinical trials.

16 We retained hope, and it was an immense
17 relief for Thomas to be able to enroll on the 020
18 trial in late 2013. We now know that in summer
19 2014, Thomas was on the placebo arm of the trial.
20 He rarely played soccer in the backyard then.
21 Twelve months later in 2015, he was out there
22 playing soccer throughout the summer. We now know

1 that Thomas was receiving the drug then. For us,
2 that was a statistically significant and meaningful
3 outcome measure.

4 He's been receiving ataluren for three years
5 now, along with many others across the UK. The
6 drugs have no side effects and it has been easy for
7 him to take. It has made a huge difference to
8 Thomas, who is nearly 13, ambulant, and attending a
9 mainstream school. He's looking forward to his
10 soccer-themed bar mitzvah in December.

11 We'd now like to show you a short video from
12 16 months ago where Thomas is playing soccer at
13 home. Thomas will then introduce another video we
14 made in our backyard last weekend. You'll still
15 see he loves to play.

16 MR. T. SILVERMAN: Here is a video of me
17 playing soccer, or football, as we like to call it.
18 Ataluren helps me play soccer, and I want the boys
19 over here to have ataluren drug. We all deserve
20 it. Thank you.

21 (Video played.)

22 (Laughing.)

1 DR. ALEXANDER: Thank you very much for your
2 testimony. Will speaker number 12 please come to
3 the podium? Please state your name, introduce
4 yourself and any organization you are representing,
5 for the record.

6 MS. CASTLE: My name is Jill Castle, and
7 this is Joanne Wechsler. Our travel has been
8 reimbursed by PTC. Our sons, Anthony and Adam,
9 began on the ataluren during the 004 trial.
10 Between trial 007, the extension trials, and the
11 unexpected suspension in 2010, they went on and off
12 the drug five times.

13 When on the drug, Anthony and Adam had an
14 increase of energy and improved cognitive function.
15 Anthony reduced his scooter use, saw an improved,
16 3- to 4-second Gowers from the floor to standing,
17 and was able to jump off the floor using both feet
18 for the first time in his life. Adam was busy
19 during those years playing wall ball, drums,
20 pursuing National Honor Society, and becoming an
21 Eagle Scout.

22 When taken off the drug each time, Adam and

1 Anthony saw dramatic declines. Anthony experienced
2 a crash which included exhaustion, legs buckling
3 from underneath him, dropping in a heap 3 to 4
4 times a week, and going from his reliable 3-to 4-
5 second Gowers, to being unable to get off the floor
6 without assistance. When the drug resumed the
7 final time, his independent Gowers also resumed at
8 8 seconds.

9 Anthony walked until a month before his 15th
10 birthday. He is now 18 and has minimal heart
11 involvement. He has plenty of energy to engage in
12 adventure sports, rock concerts, dating, and
13 traveling to Mexico to volunteer. After 11 years
14 of experience with this drug, there have been no
15 negative side effects. However, it does appear
16 ataluren has helped curve the negative side effects
17 of Duchenne.

18 We ask you to remember our obligation,
19 "first do no harm." And if you were to withhold a
20 non-harmful, life-enhancing drug, harm is exactly
21 what you may do.

22 MS. WECHSLER: The previous photo was Adam

1 when he was 14 years old walking all around
2 Disneyland in Florida. Adam walked until he was
3 16, only stopping due to a broken femur. He's 21
4 now. His heart and lungs are strong. Just two
5 weeks ago, his neurologist compared pulmonary
6 function testing from the last four years, noting a
7 very minimal decline; quote. "My impression over
8 time is that Adam has remained quite stable with
9 the pulmonary data to support this opinion."

10 Adam is now a senior at the University of
11 Vermont, living independently in the dorms with
12 assistance limited to bed and morning routines. He
13 can manage his meals, bathroom, and a full course
14 load while working on his honors college thesis.
15 In his free time, he is an editor for a student
16 magazine, organized a collegiate competitive race
17 to zero team, and maintains an active social life.

18 Eleven years in a trial is a long time and a
19 lot to sacrifice. I can only imagine how well he
20 would be doing had he been on drug continuously
21 starting at a prime age of 5, rather than 10, with
22 all the stops.

1 Please consider approval so that our
2 sacrifice may spare future generations the
3 devastating outcomes of this disease. Thank you.

4 DR. ALEXANDER: Thank you very much. Will
5 speaker 13 please come to the podium and introduce
6 yourself? Please state your name and any
7 organization you are representing, for the record.

8 DR. NELSON: Hi. I'm Stanley Nelson. I'm
9 professor of human genetics at UCLA and co-director
10 of the Center for Duchenne Muscular Dystrophy. I'm
11 a physician and also care for approximately a
12 hundred children and serve as the director of the
13 UCLA Certified Duchenne Care Center. My travel
14 here was paid today by PTC.

15 Ataluren demonstrated a small increase in
16 dystrophin protein in young boys' muscles. The
17 small amount of dystrophin is unlikely to stop or
18 reverse the disease process as we'd all hope.
19 However, much available data indicates that a small
20 amount of dystrophin can be therapeutically
21 relevant over a boy's lifetime.

22 As you've heard, PTC performed two large,

1 well-run, multisite, double-blind,
2 placebo-controlled trials over a one-year period,
3 and both failed to meet their primary endpoints.
4 It's thus the intellectually easiest route to deny
5 approval.

6 FDA reviewed, dissected each individual
7 study, but the core question which I'd like you to
8 consider is, is there sufficient data in aggregate
9 that this drug has a positive benefit and is
10 sufficiently safe to give to these children?

11 Trials in Duchenne, as you've learned and
12 will continue to learn, are often too short and
13 sometimes subject to issues around the subgroup
14 analyses, which were part of this discussion as
15 well. Meta-analyses help us deal with some of
16 these issues.

17 One way to deal with a relatively short-term
18 trial date is to compare subjects who have received
19 ataluren long term within open-label portions of
20 clinical trials with matched contemporary external
21 controls. You've actually had the privilege of
22 meeting some of those children who are long term

1 ataluren therapy, and some of them are shocking
2 outliers, possibly because of the drug exposure.

3 To determine if there's any substantive
4 evidence of efficacy of ataluren from across the
5 multiple studies, my laboratory recently compared
6 loss of ambulation data, a hard endpoint, from 809
7 subjects with Duchenne Connect, the largest
8 repository of Duchenne data.

9 I retrieved this data in October 2016, and
10 we could compare this data by mutation type,
11 steward usage, and other controlling variables,
12 with data provided by PTC on a 101 subjects -- some
13 of those we just saw -- retrieved in January of
14 2017, who on average have had over 3 and a half
15 years exposure to ataluren.

16 Similar to the data that was shown from
17 Dr. McDonald, comparing this to synergy, there was
18 a 3-year delay in age at loss of ambulation, purely
19 on the variable of exposure to ataluren. So this
20 hard endpoint for Duchenne is relevant and I think
21 highly significant. The p-value of that
22 Kaplan-Meier plot has actually a p-value of less

1 than 10 to the minus 8, and survives any multiple
2 comparison that we're doing within that set.

3 This type of analysis fairly aggregates most
4 of the company data generated and is a way to go
5 forward to aggregate the data in a mindful,
6 thoughtful, intellectually satisfying, manner.
7 This supports a therapeutic effect of ataluren
8 strongly, certainly in the ambulatory population.

9 A comment as well that a family approached
10 me last year to prescribe ataluren. They were not
11 able to be on any of the trials, but it was worth
12 my time and effort, and their time and effort, to
13 go through many days to get the requisite
14 single-person IND in our approvals to make this
15 possible.

16 At age 6, he's having modest gains. At this
17 point, subtle improvements with no side effects.
18 As was mentioned early, any one patient is not
19 sufficient to determine efficacy, but the aggregate
20 data actually convinces me that I'd very much like
21 to keep this patient on study drug, and I'd like to
22 be able to prescribe it to other patients. Thank

1 you.

2 DR. ALEXANDER: Thank you. Will speaker 14
3 please come to the podium and introduce yourself?
4 Please state your name and the organization you are
5 representing, if there is one, for the record.

6 MS. MICELI: I'm Carrie Miceli, professor
7 and co-director of the Center for Duchenne Muscular
8 Dystrophy at UCLA. PTC paid for my travel.

9 My laboratory is focused on dystrophin
10 replacement strategies. I chair the scientific
11 advisory board for imaging DMD, one of the most
12 comprehensive ongoing assessments of natural
13 history in Duchenne, and I sit on advisories for
14 planning DMD trials. Therefore, I'm well equipped
15 to comment on the strength of the data presented in
16 support of ataluren.

17 PTC was a pioneer in DMD trials, performing
18 the largest multisite, placebo-controlled Duchenne
19 trial at the time of Study 007. While missing
20 their primary endpoint, subset analysis revealed a
21 possible drug effect in boys with defined entry
22 criteria and dosing.

1 PTC performed a second placebo-controlled
2 trial. Meta-analysis of subjects fulfilling the
3 predefined criteria from both studies indicates a
4 positive treatment effect when analyzed in
5 aggregate.

6 Loss of ambulation and pulmonary function
7 data support a treatment effect, bolstering the
8 trial findings. Additional support for efficacy
9 comes from the dystrophin results presented. While
10 there are limitations regarding the ability of
11 immunofluorescence to precisely quantitate
12 dystrophin protein, this method did clearly
13 demonstrate dystrophin introduction in response to
14 ataluren, establishing the mechanism of action, and
15 providing a plausible explanation for the
16 bell-shaped curve.

17 There is no well-established lower threshold
18 of dystrophin production under which expression is
19 clearly predicted to be insufficient for inducing
20 some functional gain. Rather, there are compelling
21 data from mouse models, Becker patients, and
22 patients amenable to exon 44 skipping, that

1 expression of very low levels of dystrophin can
2 result in increased functionality.

3 The study findings predict that ataluren
4 likely produces dystrophin at levels compatible
5 with the effect size observed. Together, in my
6 opinion, the data represent substantial evidence of
7 efficacy.

8 Admittedly, the package presented may not
9 fulfill the conventional strict criteria for full
10 approval. However, since the inception of the
11 original PTC study, scientists, clinicians, and
12 regulatory bodies have realized that the strict
13 adherence to conventional trial design is neither
14 optimal or appropriate for rare disease approval,
15 encouraging flexibility in approvals. Such
16 flexibility is appropriate in considering full
17 approval for ataluren.

18 Further, Congress has enabled accelerated
19 approvals for drugs treating serious disease with
20 unmet need, based on the criteria of reasonably
21 likely to predict clinical benefit. In the event
22 that the FDA cannot apply such flexibility for full

1 approval, I suggest the ataluren package be
2 considered for an accelerated approval as the data
3 clearly fulfill those stated criteria.

4 It does not seem appropriate or ethical to
5 deny boys access to a safe drug that's likely to be
6 effective, while there are regulatory paths and
7 pace enabling approval and continued patient access
8 to ataluren based on existing data.

9 In light of the large number of boys exposed
10 to ataluren now, worldwide, it's anticipated that
11 confirmatory data relating to the efficacy of
12 ataluren, or lack thereof, should be forthcoming
13 from ongoing studies and continued patient
14 exposure.

15 Failure to apply flexibility in considering
16 ataluren approval unnecessarily puts procedure
17 ahead of patient wellbeing, an outcome we hope you
18 as an advisory committee can help prevent.

19 DR. ALEXANDER: Thank you. Will speaker 15
20 please come to the podium and introduce yourself?
21 Please state your name and any organization you are
22 representing, for the record.

1 MS. FURLONG: Thank you. My name is Pat
2 Furlong. I'm president and CEO of Parent Project
3 Muscular Dystrophy, and I have nothing to disclose.

4 In good faith, we've all come together today
5 to discuss the data that's been collected from
6 Study 004, 007, and 020. We're deeply grateful to
7 the committee for your willingness to review the
8 data that's been collected and to listen to these
9 families in an effort to understand the data that
10 was not collected, that was not part of the
11 studies.

12 As parents, we participate in clinical
13 trials. We sign the informed consent, and our sons
14 sign the assent, with the understanding that there
15 will be requirements of us and our sons: blood,
16 urine, tissue, and functional measures, such as the
17 North Star 6-minute walk time test, and others.

18 We cooperate because that is the current
19 methodology for clinical trials, and then we go
20 home. We watch as we go through the motions of our
21 lives and we notice subtle things, subtle things
22 that make a difference in our sons' lives, and by

1 default, our own.

2 Energy, the ability to engage in activities
3 without fatigue; sleep, sleeping through the night
4 without the need to be turned, without the need for
5 comfort measures by another member of the family;
6 breathing, no signs of CO2 toxicity, no need for
7 non-invasive ventilation, a step toward progress in
8 breathing on your own for a very long time; small
9 things, soft data, not measured in numbers, and not
10 analyzed, but measures that we see in how our son
11 feels and functions.

12 Please consider these measures, those done
13 in the context of our lives that preserve the
14 quality of our sons' lives and our lives. But
15 there's more. Please consider those who are not
16 represented here today in the Duchenne community.
17 Those individuals that didn't meet the criteria,
18 that sit and wait, and wait, and wait, they have
19 not had this opportunity to try to preserve the
20 quality of their lives, and they will need access
21 and deserve access.

22 Please think of all those standing in line

1 waiting, and don't let them wait the rest of their
2 lives. Thank you.

3 DR. ALEXANDER: Thank you. Will speaker 16
4 please come to the podium and introduce yourself?
5 Please state your name and the organization you are
6 representing, for the record, if there is one.

7 DR. MCFARLAND: Good afternoon, advisory
8 board. I am Dr. Robert McFarland, a diagnostic
9 radiologist. My son, Ross, has Duchenne's
10 dystrophy. I'm here with the Motts family, and
11 Brandon, who also has Duchenne's dystrophy. My
12 only financial disclosure is my travel arrangements
13 were paid by PTC. Ross has been on ataluren for
14 about 11 years. He was on beginning with the Study
15 004 and presently on 007. Brandon also has been on
16 the drug for 10 years and was involved with Study
17 007.

18 Both my son, Ross, who is 22, and Brandon,
19 19, were both diagnosed at the age of 4. At the
20 time of diagnosis, both families, both got the same
21 horrendous prognosis. No viable treatment,
22 basically love your child, and expect an early

1 demise. What is really disheartening, it is the
2 same prognosis I heard in 1984 as a second-year
3 medical student, but thank god things have
4 improved.

5 Ross and Brandon have outlived their
6 diagnosis. Ataluren has given these kids a good
7 quality of life, and they are very good about
8 participating in their community. My son, Ross, is
9 a Shocker at Wichita State, and is working part
10 time. Brandon has been very active in the
11 community of Jackson, Michigan doing a lot of
12 volunteer work. Both boys are making a positive
13 impact in their community, but I must say that both
14 boys experienced major setback in the interruption
15 when the drug was on hiatus.

16 Ross' ability to ambulate was lost during
17 that hiatus, and there was some noticeable truncal
18 loss of strength. Being very active in Ironman
19 community myself, my son was an avid swimmer. He
20 was swimming about 350, 400 yards, prior to
21 termination of the drug. I noticed the
22 deterioration. I've seen deterioration in people

1 with multiple sclerosis and other degenerative
2 diseases. Ross' decline was apparent and visible,
3 and it was during this drug interruption.

4 Upon reinstatement, he did not return to
5 500 yards, but he went back from 150, back to 400
6 yards. For us, the endpoint to validate ataluren
7 on just ambulation and muscles of movement,
8 probably needs to be of some question.

9 Since being back on the drug, the slope of
10 his deterioration has flattened. I am lucky that I
11 can do echoes; his ejection fraction stays above
12 55 percent. His FEV is still well-maintained.
13 There have been no signs of any deterioration of
14 his neck muscles, and there's been no need for
15 utilization of any BIPAP.

16 I can say, with great conviction, that the
17 detrimental effects of termination of ataluren are
18 real. I can see that with the positive clinical
19 trials, that my son has benefited directly. I ask
20 this board to listen to the positive statements
21 that are made throughout this room, and the
22 benefits that was presented today, and at least

1 give time to better establish the medical and
2 clinical upside of this medication. We owe it to
3 the field of science. We owe it to people
4 suffering from muscular neurological diseases. But
5 for most of all, we owe it to humanity. Thank you.

6 DR. ALEXANDER: Thank you very much. Will
7 speaker number 17 please come to the podium and
8 introduce yourself? Please state your name and any
9 organization you are representing, for the record.

10 MR. BUCCELLA: I am Filippo Buccella from
11 Parent Project, Italy. My travel was supported by
12 PTC, and I represent the boys of many Italian
13 parents. They have meaningful experiences that we
14 strongly believe should be considered in your
15 decision to make ataluren available to American
16 Duchenne's children.

17 Forty-three Italian boys have access to
18 ataluren now. Seven are older than 14 years, and
19 17 older than 10, and they're still all able to
20 walk, just as stated for the 019 study.

21 Today, ataluren is available for patients in
22 Europe, thanks to the conditional approval granted

1 by EMA. In Italy, our agency the AIFA has agreed
2 for fully reimbursed access to the treatment. This
3 is a big milestone for our entire community. Many
4 of our children are receiving the treatment, which
5 will delay the progression of their disease.
6 However, we feel this is an opportunity that cannot
7 be restricted to just a few, but should be extended
8 to every Duchenne boy all over the world.

9 We parents have the clear perception of the
10 many improvements in our kids and daily activities
11 and tasks. We interviewed three families during
12 our last meeting and here's what they say.

13 Andrea is 14 years old, and he's able to run
14 and ride his bike. This is what was said by his
15 father, Fabio. "Six years ago, we were included in
16 the trial with ataluren, and it allowed my son to
17 maintain his strength and give us all more time.
18 In the last six years, there was no degeneration.
19 We were at the swimming pool a few days ago, and I
20 was impressed," continues Fabio. "Before taking
21 Translarna, Andrea could swim for just a few
22 meters. Now he doubled. Even the results of his

1 lungs and heart tests show no loss functionality
2 and most of all, he never had any side effects."

3 "The teacher noticed that something was not
4 okay with Marcos," says Carla [ph], his mother.

5 "My husband and I had just seen our pediatrician.
6 It was the day when the long journey to reach a
7 diagnosis of Duchenne muscular dystrophy had just
8 begun. That day I was feeling dizzy and confused.
9 Everything seemed unreal to me.

10 "Today Marcos is 12 years old, and he should
11 be bound to his wheelchair, but he's still standing
12 and is able to walk to his school by himself. When
13 his first teacher saw him a few years later, she
14 was very surprised, and we had to explain to her
15 that Marcos was taking Translarna," adds Carla.

16 "Daniele [ph] started taking Translarna one
17 year ago," says Maria [ph], mother of Daniele. "At
18 the time, it was also available in Italy, thanks to
19 the 648 law that is promoted and expanded an early
20 access. We just have one-year experience, but
21 Daniele could not lift his feet from the ground,
22 and today he can make a little jump. His balance

1 has also improved and his stamina has, too."

2 We are really confident Translarna is giving
3 our kids the opportunity to gain time, a time to
4 discover the world by themselves, a time to live.
5 Thank you for considering these patients real-world
6 experience in your recommendation whether to make
7 Translarna also available to United States
8 patients.

9 DR. ALEXANDER: Thank you very much. Will
10 speaker 18 please come to the podium and introduce
11 yourself? Please state your name and any
12 organization you are representing, for the record.

13 MR. MITCHELL: Good afternoon, and thank you
14 for the opportunity to speak today. I am Jack
15 Mitchell, director of health policy for the
16 National Center for Health Research. Our
17 non-profit organization analyzes medical data and
18 provides objective health information to patients,
19 providers, and policy makers. We do not accept
20 funding from drug companies, so I have no conflicts
21 of interest to report. I'm not a clinician or MD,
22 but I'm presenting these views on behalf of our

1 team of PhD researchers and analysts.

2 I'd like to acknowledge the patients,
3 children, and families who've come as far away as
4 Europe today to express their views to the FDA
5 panel. Patients with rare diseases urgently need
6 safe and effective treatments, and we appreciate
7 the companies diligent efforts to provide such
8 treatments. That means we need persuasive data
9 based on soundly reviewed science.

10 We agree with FDA that substantial evidence
11 of effectiveness must be provided to support
12 approval of a new drug. FDA has been flexible in
13 approval criteria for treatments for some
14 devastating rare diseases. In some cases, however,
15 that has resulted in insurance companies refusing
16 to pay for FDA-approved treatments that the
17 insurance companies deem experimental rather than
18 proven.

19 This disconnect adversely affects patients
20 who otherwise would have free access to the drugs
21 in clinical trials when the trials are either
22 stopped or limited. Patients and their families

1 cannot afford to pay for treatments that insurance
2 companies maintain have not been proven to work.

3 We agree with FDA scientists that the data
4 presented today do not indicate significant benefit
5 in randomized, double-blind, placebo-controlled
6 trials such as Study 007. Only after making many
7 post hoc changes did ataluren show it was effective
8 for patients, but this was not replicated in
9 Study 020. As you know, these post hoc
10 manipulations do not provide clear evidence of
11 efficacy.

12 For both studies, 79 percent of patients
13 were white, but the CDC reports that Hispanic males
14 are disproportionately likely to have these
15 conditions. It is essential that an adequate
16 number of Hispanic males be analyzed to determine
17 if they can benefit from a treatment such as
18 ataluren.

19 Finally, we have concerns regarding safety.
20 Elevated blood lipids and blood pressure are not
21 benign side effects, particularly in children.
22 These risks are substantially increased in children

1 taking chronic corticosteroids. In addition, the
2 effects of ataluren on kidney function blood tests
3 are also a matter of concern, especially in
4 children taking many other drugs that could be
5 harmful to the kidneys.

6 We agree with the FDA that no study
7 conducted as planned has sufficiently positive
8 results. A possible signal of treatment
9 effectiveness for patients deserves further study
10 certainly, but the current data, in our opinion,
11 are not sufficient to warrant FDA approval.

12 Patients and their loved ones deserve the
13 benefits and most rigorous research. We urge the
14 committee to decide that the data suggest that
15 ataluren has not yet proven sufficiently effective.

16 I respectfully recognize that the families
17 and their children here today do not share that
18 viewpoint. Their stories are both moving and
19 meaningful. We're a patient advocacy group, among
20 other things, so this is not an easy position to
21 take, but we believe further research is necessary.
22 Thank you for allowing to share our viewpoints.

1 DR. ALEXANDER: Thank you very much. Will
2 speaker 19 please come to the podium and introduce
3 yourself? Please state your name and any
4 organization you are representing, for the record.

5 DR. CAMPBELL: Thank you. My name is Craig
6 Campbell, and I'm a pediatric neuromuscular
7 specialist at Western University in Canada. By way
8 of disclosure, I've been a site investigator and a
9 voluntary advisor for PTC, including travel costs
10 that include getting to this meeting today. I've
11 also been involved in many other clinical trials
12 for various childhood neuromuscular disorders.

13 While I have equally positive experience in
14 my study patients on ataluren, as many that you've
15 heard today, I would like to take a bit more of a
16 broad evidence-based perspective on why the DMD
17 community should be compelled to be using ataluren
18 for nonsense mutation DMD.

19 It's a well-established evidence-based
20 principle that a meta-analysis of two or more
21 well-designed, congruent RCTs is a high level of
22 evidence, perhaps the highest, even, and maybe

1 especially, when results are statistically
2 negative, but consistently favoring treatment.

3 I'm showing here in the panels on the slide,
4 the definitive meta-analysis data that we have for
5 this drug, taken from a combination of the 020 and
6 007 trials of ataluren. In addition, and by
7 extension, the grade guidelines that inform
8 clinical adoption of evidence calls us to match
9 consistent quality evidence with the benefit-risk
10 balance and place the decision to treat in a
11 clinical context.

12 Of course, in the case of DMD, we know that
13 we are dealing with a consistent phenotype of
14 certain progressive, life-limiting muscle weakness,
15 with no definitive treatment at present. Needless
16 to say, this is a very difficult scenario for
17 patients, families, and clinicians, and I would
18 welcome any safe intervention that has any degree
19 of effectiveness that could slow the progression of
20 the disease.

21 Let's look at the evidence, and all this
22 evidence is available in the peer-reviewed public

1 realm. On the slide that you can see in front of
2 you -- I apologize, it may be a bit difficult to
3 see at that granularity, but I've shown the results
4 of meta-analysis of the 020 and the 007 trials for
5 ataluren.

6 On the left panel, you'll see primary
7 clinical trial outcome of 6-minute walk test, and
8 on the right, timed functional tests such as
9 10 meter walk run, and the stair climb, which are
10 secondary outcomes.

11 The meta-analysis results is the top green
12 line in all figures, and in all cases it points to
13 the point estimate and confidence interval line to
14 the right of the no effect line, thus showing a
15 statistically significant result favoring ataluren.
16 There are some sub-analysis broken down into three
17 conditions on the slide as well, but in the
18 interest of time, I will not go into those,
19 although they do show a significant effect.

20 Perhaps, though, the strongest evidence, in
21 my opinion, is the recent data we have, not shown
22 on this slide, that's simply taking all subjects

1 data; so a true ITT population from both trials.
2 The meta-analytic approach shows a statistically
3 significant result favoring ataluren, and this is a
4 result that we have confirmed in our own analysis,
5 although the results above are taken from PTC data.

6 Combining this clinically statistically
7 significant evidence for effectiveness, and the
8 positive safety record of ataluren, and the context
9 of DMD, I think this makes a compelling case to all
10 of us in the DMD community that ataluren should be
11 made available. And it's critical that patients
12 are not exposed unnecessarily to further clinical
13 trials, or even worse, denied beneficial drug
14 entirely. Thank you.

15 DR. ALEXANDER: Thank you very much. Will
16 speaker 20 please come to the podium and introduce
17 yourself? Please state your name and any
18 organization you are representing, for the record.

19 MR. J. KIRLEY: Hello. My name is Jack
20 Kirley, and this is my family; Terry, my wife, and
21 Maxx, my son who is now 16 and has Duchenne
22 muscular dystrophy.

1 Maxx was in Study 007 starting at age 7 and
2 has been on ataluren, except for a several-month
3 period when the study was stopped, ever since. He
4 is currently in the extension. Our travel and
5 hotel were covered by PTC.

6 We'd like to thank the advisory committee
7 for taking the time to review the data. Most of
8 all, we'd like to thank the heroes, like Maxx, that
9 participate in clinical trials.

10 Ataluren is an effective and beneficial drug
11 that has given Maxx strength and endurance.
12 Because of ataluren, Maxx is ambulatory at 16, and
13 he keeps on going. He's taking a college course
14 and so much more.

15 Soon after, and over the course of taking
16 ataluren, we saw significant improvements in all
17 areas of his life. Here are a few of the
18 observations by us, by teachers, by peers, by PTs,
19 by OTs, doctors, friends, and family members, most
20 not knowing he was in a trial.

21 His 6-minute walk increased 56 meters by the
22 end of trial. Please note his baseline was between

1 300 and 400 meters. His walking pattern changed
2 from toe walking to a heel-to-toe stride. He had
3 increased stamina and better coordination. He was
4 able to jump into bed. He was able to throw balls
5 further, with more accuracy. He started using his
6 wheelchair less. He started climbing large hills.
7 His hand strength improved. He was able to write
8 as much as his peers. He's never had pneumonia.

9 Before ataluren, and during the months he
10 was off ataluren, we saw notable declines and falls
11 were more frequent. Please consider this in your
12 decision.

13 Ataluren has improved our son's life
14 significantly. Maxx feels better. He has improved
15 energy and function, and in over 9 years on drug,
16 Maxx has had no adverse side effects. While some
17 here may be uncertain of the benefit, we as parents
18 are not.

19 Please don't risk the potential of a type 2
20 error. We've seen the benefits of taking this drug
21 and the danger and risks without the drug. Once
22 function is lost, it's lost. Please do no harm.

1 Maxx would like to say a few works.

2 MR. M. KIRLEY: We don't have time. Please
3 approve ataluren now so that all will have access.
4 Thank you.

5 DR. ALEXANDER: Thank you very much. Will
6 speaker 21 please come to the podium and introduce
7 yourself? Please state your name and any
8 organization you are representing, for the record.

9 MS. MONSON: Good afternoon. My name is
10 Carolyn Monson. My son, Grant, who is now 24, was
11 in the original safety study and is now on the
12 extension study since the age of 15. I and my
13 husband, Tim, are representing our family. Our
14 expenses have been covered by PTC.

15 Grant has been involved with ataluren for
16 12 years. Right before the extension trial
17 started, Grant fractured his left femur. He
18 rehabilitated enough to complete the 6-minute walk
19 and start the trial November of 2008. On ataluren,
20 he gained strength and stamina, and returned to
21 walking in school in spite of the traumatic femur
22 break. He gained speed as the months went on.

1 During the latter part of his junior year,
2 the trial was suspended. We, his peers, and
3 teachers, noticed his decline. He had numerous
4 falls at school and became increasingly fatigued.
5 When the trial was reinstated, we noticed Grant
6 steadily returned to his former state. A few
7 months after he resumed ataluren, he walked up the
8 stairs and across the podium to gather his high
9 school diploma.

10 During his college years, Grant continued to
11 ambulate to his classes. He graduated with hardly
12 missing a day due to illness or fatigue. He
13 stopped walking at the age of 22 and a half, a
14 remarkable feat that few with Duchenne are able to
15 do.

16 He currently uses a manual wheelchair to
17 push himself around his home and his office. He is
18 employed working 30 hours a week. He has no
19 incidences of pneumonia, and his respiratory
20 function is excellent. He is able to transfer
21 himself from his bed and can transfer himself on
22 and off the toilet.

1 He gets himself ready for the day with
2 little assistance. He can stand for several
3 minutes when helped to his feet and given support
4 for balance. His overall quality of life with
5 Duchenne far exceeds the outlook we were given when
6 he was diagnosed at age 4.

7 We understand, firsthand, how difficult
8 Duchenne and other rare diseases are to study, but
9 we have also witnessed firsthand the impact that
10 ataluren has had on Grant. Slowing the progression
11 of the disease has given him time to grow up,
12 graduate college, and join the working world of
13 adulthood. For this reason, Grant remains in the
14 study, even after 12 years.

15 He remains a highly functioning individual
16 in spite of the trial measure outcomes documented
17 in your records. His experience with ataluren
18 convinces us it is working. So many doors have
19 been opened to Grant because of ataluren. Please
20 don't shut the door on him. Time is running out.
21 Thank you.

22 DR. ALEXANDER: Thank you. Will speaker 22

1 please come to the podium and introduce yourself?
2 Please state your name and any organization you are
3 representing, for the record.

4 MR. FARWELL: My name is Charles Farwell,
5 and thanks to the FDA for giving us this
6 opportunity. My travel and accommodation for the
7 trip have been provided for by PTC. I'm the father
8 of Ryan Farwell, who is now 24 and living with
9 muscular dystrophy.

10 At nine months of age, Ryan was diagnosed
11 with MD. Today, he is 24. Throughout his life, we
12 have searched for medications with the hopes of
13 maintaining his strength, as well as possibly
14 increasing it. Ataluren is one medication that we
15 can state definitively has shown a beneficial
16 effect. Ryan has been on this treatment since 2007
17 with zero side effects.

18 Our first indication of benefit came with
19 the increase in overall energy we noticed with Ryan
20 after starting on the drug. We believe that
21 throughout his high school years, he maintained
22 strength largely due to ataluren. Ryan was proudly

1 able to navigate the crowded and noisy hallways of
2 high school and was ambulatory until just a couple
3 of years ago.

4 The obvious question one might ask is how do
5 you know how the disease would have progressed
6 without ataluren? Well, in an odd or ironic way,
7 we are fortunate that Ryan lost access to the drug
8 for much of 2010, when PTC halted the trial. That
9 experience cemented in our minds just how effective
10 the drug had been.

11 Up to the point the trial was stopped, Ryan
12 never had a history of falls. That summer, prior
13 to his college departure, and after Ryan had been
14 off ataluren for about three months, he took a very
15 bad fall. We absolutely feel this had everything
16 to do with discontinuing his ataluren treatment.

17 Because of this fall, we were forced to
18 reassess his dream of attending college without the
19 appropriate physical support structures in place.
20 As further confirmation of the treatment impacts,
21 we again saw improvement in Ryan's energy when he
22 was restarted on the trial, but it is not clear if

1 he ever regained what had been lost.

2 In closing, please see the slide which
3 illustrates Ryan's specific mutation. This slide
4 is just a snippet of the dystrophin gene, and
5 you'll have to imagine a deck of almost 20 slides
6 that would be required to show the entire gene.

7 Mutations that cause MD can occur in
8 thousands of different places along this gene,
9 resulting in a virtually endless set of disease
10 variations. For this reason, it seemed clear that
11 our kids are going to need a broad variety of
12 treatment options, and I urge you to consider that
13 ataluren deserves to be one of them.

14 DR. ALEXANDER: Thank you very much. Will
15 speaker 23 please come to the podium? Please state
16 your name and any organization you are
17 representing, for the record.

18 MS. WAGNER: Hello. My name is Ellen
19 Wagner. Mine and Maria McDonnell's travel and
20 hotel have been provided by PTC Therapeutics.

21 My son, Tim, was one of the first 12 boys to
22 try PTC in the safety trial. My husband and I made

1 the decision to allow our little boy to try a drug
2 that would alter his DNA, knowing that to do
3 nothing was a fatal choice. Sometimes to do
4 nothing is to cause harm.

5 We saw significant improvement all those
6 years ago. He was able to climb stairs, jump, and
7 play tag. We saw a precipitous drop when the trial
8 ended, a drop in not just physical ability, but in
9 his academic performance. As sad as we were to see
10 this drop, we were ecstatic that the drug worked.
11 We were so anxious to put Tim back into the
12 extension trial as soon as it became available.

13 We did enroll Tim in the extension trial.
14 He remained stable during this period; able to
15 climb stairs in his non-accessible school and
16 generally not show very many signs of DMD. His
17 clinic physician commented he would be one of the
18 lucky ones, walking to his late teens with a strong
19 gait.

20 In 2010, the trial was stopped.
21 Unfortunately for Tim, the time off drug was
22 devastating. We saw him rapidly come off his feet

1 and begin the decline typical of DMD. After a
2 lengthy delay, Tim was able to go back on drug, but
3 it was too late for him. We did not see the
4 immediate gains of the first two experiences, but
5 we strongly believe the little ability Tim has left
6 is due to ataluren.

7 To take Tim off this drug again, could be
8 catastrophic. It's very hard to show improvement
9 in a progressive disease. We cannot afford to take
10 a chance with Tim. To do no harm would be to
11 approve ataluren for Tim and all the boys. This is
12 their only chance. There is no other therapy.

13 We do not want to take the risk of losing
14 this drug and the benefits that allow our
15 19-year-old to enjoy his life. His siblings,
16 friends, and family need him to stay around.
17 Remember, to do no harm would be to allow these
18 boys to continue a drug therapy that Tim has been
19 on for 12 years, most of his life.

20 MS. MCDONNELL: Hello. My 17-year-old son,
21 Aidan [ph] was in the ataluren Study 007 and is now
22 in the extension study. Aidan is not here with me

1 today because he hates to miss school. He's a
2 pretty serious student with a 4.0 GPA who has his
3 eye on college acceptances.

4 Aidan maintains good grades and good
5 attendance in part because of good respiratory
6 function. At nearly 18, Aidan's respiratory health
7 is excellent. He does not require BIPAP
8 assistance, although the majority of boys his age
9 do use non-invasive ventilation. He has never had
10 pneumonia or even a chest cold. His pulmonologist
11 always remarks on his well above average PFT
12 results.

13 I strongly feel that Aidan's respiratory
14 function has been preserved by his years of taking
15 ataluren, and I fear what may happen if the drug is
16 discontinued as Aidan nears the college years he
17 has been looking forward to.

18 My son deserves the bright future he has
19 been working for, and I urge to make ataluren
20 available to Aidan and all of the patients who will
21 benefit from this medication. Thank you.

22 DR. ALEXANDER: Thank you very much. Could

1 speaker 24 please come to the podium? Please state
2 your name and any organization you are
3 representing, for the record.

4 DR. HAGERTY: My name is Dr. Laura Hagerty,
5 and I work in the research department at Muscular
6 Dystrophy Association. By way of disclosure, MDA
7 has been leading the funding of Duchenne therapies
8 for more than 65 years, including providing support
9 for the development of ataluren, and many other
10 potential therapies in development.

11 Thank you for the opportunity to be with you
12 today. I'm pleased to speak on behalf of MDA and
13 the thousands of Duchenne families we support and
14 represent. At the outset, I'd like to share MDA's
15 optimism about the robust Duchenne therapy
16 development pipeline, and that for the first time
17 ever, there are treatment options to change the
18 course of Duchenne muscular dystrophy.

19 As a scientific program officer focused on
20 Duchenne muscular dystrophy at MDA, I'm aware of
21 the serious impact and lethal nature of DMD. MDA
22 has led the search for treatments and cures for

1 Duchenne for more than half a century and will
2 continue to do so until there is a cure.

3 Beginning over 15 years ago, we have funded
4 the development of stop codon readthrough
5 therapies, including ataluren. While there is
6 still no cure for DMD, it is essential for this
7 body to appreciate that the DMD community needs
8 safe and effective therapies, even if not cures, as
9 slowing the progression of the disease is a
10 significant and positive development for those
11 living with DMD.

12 What has long been known about the disease
13 and confirmed by MDA's data in conjunction with
14 published studies, is that individuals with DMD are
15 affected early in life, even at birth, and that
16 disease manifestation resulting in clinical signs
17 and symptoms are obvious prior to age 5 years in
18 virtually all individuals with DMD.

19 While a cure for DMD is the goal we're all
20 working tirelessly to achieve, the slowing in
21 disease progression and symptom manifestation is of
22 great value. To extend the ability to walk, to eat

1 independently, and to breathe is of value.

2 There are many abilities that are critical
3 to having the best possible quality of life that
4 fall short of being cures. In reality, being able
5 to fasten a button without assistance, to
6 independently adjust yourself into a more
7 comfortable position, to be able to operate a
8 joystick on an electric wheelchair, and simply to
9 be able to hug the people you love, these are
10 important things to maintain for as long as
11 possible.

12 While some of these milestones may be
13 consistent with clinical trial endpoints, many may
14 not be captured as outcome measures, but the
15 absence from being measured in clinical trial
16 outcomes does not diminish their importance.

17 Slowing progression of the disease is
18 critical, particularly because we know that if we
19 can slow the progression of the disease to early
20 milestones, we can delay progression to later
21 milestones as well, as we set out in our written
22 comment.

1 All of us at MDA, as well as our sister
2 organization, scientific community, families, and
3 supporters have been working tirelessly to see a
4 time like the present, a time when therapies could
5 be more than just a hope for the future. We are
6 all here for those living with Duchenne and the
7 people who love them. Thank you.

8 DR. ALEXANDER: Thank you very much.
9 Speaker 25, if you could come to the podium and
10 state your name and organization you're
11 representing, if there is one, for the record.

12 DR. SALAZAR: Good afternoon. My name is
13 Rachel Salazar. I'm a doctor of physical therapy
14 at Columbia University's Pediatric Neuromuscular
15 Center. We treat over 200 boys and men with
16 Duchenne muscular dystrophy, 20 of which with
17 nonsense mutations.

18 I truly appreciate this opportunity to
19 address the advisory board and share my experience
20 with the efficacy of ataluren. I received travel
21 assistance from PTC to attend this meeting.

22 Eight patients are enrolled and followed in

1 the PTC extension study at Dr. Darryl De Vivo site
2 at Columbia University. All patients remain free
3 of any drug-related side effects. Six of our eight
4 treated patients are now able or are predicted to
5 walk beyond age 14. Three of the patients suffered
6 lower extremity fractures due to falls and
7 osteopenia related to ongoing corticosteroid
8 treatment. All three patients regained the ability
9 to walk.

10 Even with extensive physical therapy,
11 regaining the ability to walk after a fracture is
12 unlikely, based on our experience with the natural
13 history of this disease. Boys who walk between 300
14 to 400 meters on their 6-minute walk test are in a
15 transition phase and are at risk of rapid decline
16 in walking ability. However, those treated with
17 ataluren showed statistically less decline than
18 those on placebo.

19 At our site, we followed two brothers who at
20 baseline walked between 275 and 300 meters on their
21 walk test. Over the years, their walking ability
22 continued to improve, and at their last visit at

1 ages 12 and 13, they walked nearly 500 meters.
2 These improvements mean that these boys are able to
3 walk to school, six city blocks away, without
4 resting and without falling.

5 I'm reminded of a former patient who would
6 have been 21 years old today. At age 6, he was
7 diagnosed with muscular dystrophy. At 9, he
8 fractured his femur and never regained the ability
9 to walk. By 18, he was severely disabled and
10 completely dependent on his mother. Sadly, last
11 year, at 20, he passed away in his sleep.

12 He had a disease-causing mutation that would
13 have been amenable to treatment with ataluren. If
14 treated, his lung function may have been preserved,
15 as was showed in non-ambulant ataluren-treated
16 patients, and he likely would have been here today
17 to speak to you.

18 We should not deny treatment to anyone with
19 this fatal disease who may benefit from ataluren,
20 and the earlier the better. If treated at a young
21 age, we will likely facilitate early muscle growth
22 and development, maintain the strength to walk

1 longer, breathe better, and preserve clinically
2 meaningful function.

3 I truly hope we can seize this therapeutic
4 opportunity. Our patients certainly deserve it.

5 Thank you.

6 DR. ALEXANDER: Thank you very much. Will
7 speaker 26 please come to the podium and state your
8 name and identify any organization you may be
9 representing, for the record?

10 MS. PARZYMIESO: My name is Susan
11 Parzymieso. I'm speaking on behalf of those
12 children who have been unable to access ataluren.
13 Standing with me today are Joseph and El'Freda
14 Agboka and their son, Omari, Michelle Barshay, and
15 Deb Jenssen. Our group has received travel
16 assistance from PTC.

17 My son, Thomas, now 9 years old, was
18 diagnosed in 2010 at the age of 2, with his
19 nonsense mutation. At that time we were told we
20 were lucky. It was only a matter of time before we
21 would have access to ataluren. More than 7 years
22 have now passed and we are still waiting.

1 In 2013, we were excited when PTC announced
2 the opening of a new clinical trial for boys age 7
3 to 10, but enrollment closed when Tommy was still
4 6. Then PTC opened another trial for the younger
5 boys. We were hopeful until we found out it only
6 included boys up to age 5. We couldn't qualify for
7 sibling access when that became available, and we
8 even explored compassionate use, but that too was a
9 dead end.

10 The wait for our son's turn has been
11 excruciating. My son has fallen through the cracks
12 and we have no other options unless ataluren is
13 approved.

14 Michelle Barshay's son, Connor [ph], is now
15 10. He loves to cook and hopes to become a chef
16 when he grows up. He, too, has been unable to gain
17 access to ataluren through the clinical trials.

18 Joseph and El'Freda's son Omari is 14.
19 Omari did not meet the inclusion criteria to gain
20 access to ataluren because he was not yet on
21 steroids. He has waited 10 years to be added to
22 the waiting list for ataluren's long-term outcome

1 trial.

2 Finally, Deb Jenssen is here on behalf of
3 two of her three daughters. Giving birth to
4 triplet girls, she thought her family was safe from
5 the Duchenne that had stolen her brother and her
6 cousin. However, it wasn't long before she
7 received the seemingly impossible diagnosis that
8 two of her girls were, in fact, manifesting
9 carriers and clinically have Duchenne, just like
10 our sons.

11 No clinical trial in Duchenne, including
12 ataluren, has ever had female as part of its
13 inclusion criteria. If ataluren is not approved,
14 her children will have nothing.

15 In the over 10 years that ataluren has been
16 involved in clinical trials in over a thousand
17 boys, this drug has proven to be safe. We have
18 been waiting years for just the chance to have
19 access to ataluren. We have been relegated to the
20 sidelines, knowing that our children could benefit
21 from ataluren, while we watch hundreds of others
22 get their chance.

1 Time means everything to us. Every day that
2 passes without access to ataluren is another day
3 where muscle is wasted and function is lost
4 forever. Today, by the grace of God, our children
5 can still walk, but time is not on our side. The
6 incremental benefits of ataluren are worth it to
7 us.

8 Give our children a chance with ataluren, a
9 chance to slow the progression with a known safe
10 drug. Please vote in favor of access to ataluren
11 for our children that have no other options. Thank
12 you.

13 DR. ALEXANDER: Thank you very much. Will
14 speaker 27 please come to the podium and introduce
15 yourself? Please state your name and any
16 organization you are representing, for the record.

17 MS. KNIGHT: Hi, and thank you. I'm Angela
18 Knight, and I'm here with my husband, Darryl [ph],
19 and our 17-year-old son, Jack. Our entire group
20 has received travel assistance from PTC.

21 Jack started in the 004 study in 2006, and
22 as you've heard from others, we can't possibly

1 imagine what would happen if he came off ataluren.
2 But today really what I want to talk about is the
3 life of a 17-year-old high school student living it
4 to the fullest because of the added energy,
5 physical stability, respiratory function, and
6 cognitive improvement that he has experienced
7 through the ataluren experience.

8 We watch other kids with DMD in high school
9 that have adjusted their schedules and cut back
10 time because of weakness and loss of function,
11 while Jack participates in the STEM and AP programs
12 at school, as a member of the National Honor
13 Society, and performs and travels with his concert
14 and marching bands.

15 Jack plans to go to the University of
16 Colorado and study engineering. Outside of school,
17 Jack advocates for himself and others affected by
18 Duchenne. He enjoys weekly horseback riding,
19 adaptive snow skiing, swimming, traveling, Broncos
20 games, theater, and music events.

21 As we're busy with Jack's high school life,
22 we're especially grateful for his normal pulmonary

1 function, and that helps support his normal cardiac
2 function, and that gives us hope for a long and
3 successful life for Jack because of ataluren.

4 MR. MUELLER: I'm Ron Mueller. I'm here for
5 my son, Ian. He started ataluren with Trial 007.
6 He has cognitive difficulties. Prior to his DMD
7 diagnosis, he was thought to be on the autism
8 spectrum. When he started the trial, we and his
9 teachers noticed an increase in his focus and
10 communication. This is not well-measured with the
11 6-minute walk test.

12 Ian went off drug for several months when
13 007 was shut down and had a precipitous drop in
14 ejection fraction. We'll never know if that would
15 have been his natural history. He's not been
16 harmed being on this drug. He didn't grow a tail
17 from skipping a stop codon.

18 But what happens when he goes off drug? A
19 little over two years ago, his heart started
20 failing. In June 2016, he received a heart
21 transplant. I don't think he would have been well
22 enough to be approved for it if he wasn't on

1 ataluren. Ian's maintained strength far beyond the
2 prognosis we were given when he was diagnosed at 3.
3 He's never been on steroids. He's still ambulatory
4 at 20 years. He has no pulmonary issues.

5 First do no harm. Let's not see what
6 happens next time he's forced off ataluren. We
7 need continued access, even as you collect more
8 data.

9 MS. DURAN: Hi. I'm Carissa [ph] Duran.
10 I'm a music educator, and I have the privilege of
11 teaching Ian and Jack. I'm not a medical expert.
12 My expertise is music and its value in the lives of
13 kids. I give kids a place to explore who they are
14 and make meaning in an unfair world.

15 I know it takes courage to stand up at a
16 concert and play a ruckus bass drum like Ian, or
17 actively participate in marching band like Jack. I
18 know that every moment in their lives is precious,
19 and I owe them every tool I've got to help them be
20 successful. I believe you owe them that too.

21 As an outside observer, I know that without
22 the intervention of this medication, these

1 wonderful boys would not be able to move with more
2 freedom, experience the joy of not just listening
3 to music, but making it, or just live a relatively
4 normal day.

5 Think back to your own high school days.
6 Maybe you were a clarinet player, maybe an oboe
7 player, and in the band. Something made it
8 meaningful for you. I ask that you approve
9 ataluren to help the boys of the Duchenne community
10 have that same chance. They need it now.

11 DR. ALEXANDER: Thank you very much. Will
12 speaker 28 please come to the podium and introduce
13 yourself? Please state your name and any
14 organization you are representing, for the record.

15 MR. KARPEKIN: Hi. My name is Daniel
16 Karpekin, and I'm 12 years old. I would thank PTC
17 for my travel and lodging to D.C. from the capital
18 of California.

19 I've been on ataluren since 2012. I would
20 like you to consider the approval of ataluren. I
21 had no negative side effects from this drug.
22 Before I started taking ataluren, I had occasional

1 back spasms. Since taking the study medication my
2 back spasms have ceased. I have maintained my
3 walking ability due to ataluren, and my fine motor
4 skills have improved dramatically, and I believe
5 that I'm able to play piano because I was on this
6 drug. I also have no respiratory or cardiac
7 abnormalities so far.

8 I wish I had a chance to start this
9 medication when I was much younger; the
10 improvements might have been better. Now that the
11 extension study I was on ended a few weeks ago, I
12 now have a harder time and it takes me longer to
13 stand up off the ground, and I get tired as the day
14 is progressing.

15 I ask you to allow me to continue receiving
16 ataluren. Please give a chance to boys with
17 Duchenne muscular dystrophy to receive the benefits
18 from ataluren. Thank you.

19 **Clarifying Questions (continued)**

20 DR. ALEXANDER: Thank you very much. So
21 this concludes the open public hearing portion of
22 this meeting, and we'll no longer take comments

1 from the audience. The committee will now turn its
2 attention to address the task at hand, the careful
3 consideration of the data before the committee, as
4 well as the public comments.

5 We have just one voting question, but before
6 we consider that question, I would like, if it's
7 possible, to offer the committee members an
8 opportunity to ask further clarifying questions of
9 the FDA or of the sponsor.

10 Do any of the panelists have clarifying
11 questions that they would like to ask of either the
12 FDA or the sponsor?

13 DR. GORDON: This is Mark Gordon. I have a
14 question for the sponsor, please. We've heard many
15 testimonies that during washout, the patients who
16 were in the study got worse. Then when they
17 reinstated the drug, that they improved to some
18 extent or stabilized.

19 So my question to you is, is there any
20 quantification of this? Have you analyzed this?
21 And if so, could you tell us about it, please?

22 DR. ALEXANDER: I'd like for the sponsor to

1 address that question, which is about whether
2 there's any quantification of the effects of
3 washout that we heard remarked upon by some of the
4 speakers.

5 DR. McINTOSH: Yes, thank you. I'd like to
6 invite Dr. Marcio Souza to answer that question.
7 Thank you.

8 MR. SOUZA: Marcio Souza, PTC Therapeutics.
9 During the brief period of time between Study 007
10 and the other studies, we had to stop treatment in
11 different parts of the world. So the restarting
12 happened between months, and in some case, years,
13 depending on IRB approvals and contracting, and so
14 on and so forth, as you all know.

15 So there is no controlled data in that
16 period that we could legally or actively collect,
17 so there's no way to control. So the only
18 information we really have is the evidence we
19 provided to the panel this morning on the study
20 that was controlled before that, Studies 004 and
21 007, and Study 020.

22 On the extension, or most of these patients

1 mentioned, there are studies either 019, outside of
2 the U.S., or a safety study in the United States,
3 that is Study 016, where most are in. I hope it
4 answered your question.

5 DR. ALEXANDER: Thank you. Dr. Fountain?

6 DR. FOUNTAIN: My question is about the
7 nature of the long-term follow-up. What we've
8 heard is such compelling stories --

9 DR. ALEXANDER: Is your question for the FDA
10 or the sponsor?

11 DR. FOUNTAIN: Sorry. The sponsor. We've
12 heard some compelling stories about the long-term
13 effects, and often in other disease areas, we see
14 evidence of what seems like continued efficacy from
15 long-term studies. And I'm really just asking the
16 question I asked before, although maybe I'll come
17 around to ask it differently is, we saw the
18 pulmonary evidence of improvement. We saw fleeting
19 other evidence, but the question is why is there
20 not -- or do you have evidence of some longer term
21 or sustained effect? Because what we're talking
22 about are other kinds of complex issues and

1 statistical analysis. If those bring certain
2 things into question, then certainly looking at
3 long-term data would be at least that good, even if
4 it's open label.

5 DR. McINTOSH: Thank you very much. I think
6 the core of the problem with studying Duchenne is
7 the duration that you can run a placebo-controlled
8 study. If you look longitudinally, the average boy
9 loses ambulation over an 11-year period.

10 DR. FOUNTAIN: But my question's not about
11 the placebo-controlled studies. My question is
12 about the long-term follow up studies. Over time,
13 do you continue to measure it and did they change?
14 Because we have a natural history control to some
15 degree, but if you don't have that, that's okay.

16 DR. McINTOSH: Correct. The best long-term
17 data we have is Study 019. This study is an
18 open-label extension study, which enrolled patients
19 previously enrolled in PTC studies and has an
20 exposure of 3.5 years. In that study, we have done
21 a natural history comparison, which was the lung
22 function data that we presented, as well as loss of

1 ambulation assessments. Those are hard outcome
2 assessments. I'd like to invite Dr. Craig McDonald
3 who did those natural history comparisons to speak
4 to this data.

5 DR. McDONALD: Again, data presented earlier
6 to the panel, I think was really very consistent
7 with what you're hearing with the open label from
8 the open public hearing.

9 The data on the left really shows the loss
10 of ambulation in 330 patients with Duchenne. The
11 median age is 13.4 years. The 95 percent
12 confidence interval for that median value was,
13 again, 12.5 to 14 years. The patients studied long
14 term in Study 019, a median age of loss of
15 ambulation was 16.3 years, so again, a 2.3 year
16 prolongation.

17 I think that, in addition, if we look at the
18 FVC, the forced vital capacity data, which we show
19 here actually from Study 019, long-term follow-up.
20 Again, when patients progress below one liter, that
21 puts them at increased risk of death by four-fold.

22 You can see from this data that in the late

1 teenage years, patients with ataluren are not
2 progressing to that critical threshold, in relation
3 to our synergy natural history data. Again, that
4 was really, I find, very compelling. In addition,
5 these groups were well-matched in terms of baseline
6 characteristics, proportions on steroids, and age
7 at entry.

8 Then finally, with the North Star data, when
9 we look at loss of function, that's really what
10 matters to patients is the loss of function data.
11 In fact, when we look at the North Star, which is a
12 new endpoint in Duchenne's dystrophy, the PTC trial
13 was one of the first trials to use this endpoint.

14 When we look at the hard endpoint of a
15 transition to a zero score, which is clinically
16 meaningful to a patient, loss of function, we see a
17 31 percent reduction --

18 DR. ALEXANDER: Thank you. I think
19 Dr. Fountain feels your question was answered.

20 Is that the case, Dr. Fountain?

21 DR. FOUNTAIN: Yes.

22 DR. ALEXANDER: Okay. Thank you.

1 Dr. Mielke?

2 DR. MIELKE: Thank you. Really building off
3 the last question as well, it did sound that the
4 longer term effect on the drug was most beneficial.
5 It also sounded that people were on one study, and
6 then transitioned to -- maybe it was off and then
7 on another study.

8 So I was wondering if anybody had done any
9 analyses, based on those people that were on
10 previous studies versus those individuals who were
11 receiving the drug for the first time?

12 DR. ALEXANDER: Before we get to that, I
13 want to give Dr. Bastings and Dr. Kozauer a chance
14 to respond also.

15 DR. BASTINGS: Just a couple of comments.
16 The first one is that these data on long-term
17 preliminary outcome have not been submitted to the
18 NDA. So these are not data that we have
19 independently reviewed and analyzed.

20 The second comment is that these historical
21 controlled studies are generally a lot more
22 difficult to interpret than randomized controlled

1 studies. So the quality of evidence coming from
2 these studies is generally much higher, and they
3 tend to be more interpretable than the historical
4 control studies.

5 DR. ALEXANDER: So you're referring to
6 example, I think it was slide 79 that showed a
7 comparison with the CINRG study or controls?

8 DR. BASTINGS: Right, among others, yes.

9 DR. ALEXANDER: Dr. Kozauer?

10 DR. KOZAUER: Yes. I was going to
11 essentially say the same thing that, it sounds like
12 the CINRG data potentially wasn't matched to
13 mutation type, which might be important. But the
14 bigger issues is all of these were described very
15 briefly in the NDA. We haven't been provided
16 efficacy data, like the FEV data from Study 019 or
17 the CINRG data to review.

18 MR. SOUZA: Can I respond, Dr. Caleb?

19 DR. ALEXANDER: I'd like to hear
20 Dr. Mielke's question first, and then I'll give you
21 a chance to respond.

22 Can you restate your question, please?

1 DR. MIELKE: Yes. My question was, we've
2 been talking about more potential efficacy for
3 long-term use, although it's not shown actually in
4 the clinical trials right now. But based on
5 observations and patient reports, and the
6 suggestion that people were on multiple clinical
7 trials, I was wondering if there was any analysis
8 that was done, only looking at those people that
9 were new to drug, as opposed to those who were on
10 previous clinical trials. For example, with Study
11 020, if you separated those that were in Study 007
12 versus those that weren't.

13 Just trying to get a little bit at the long-
14 term effectiveness and get a better idea, even
15 though there isn't a specific clinical trial for
16 it.

17 DR. ALEXANDER: Okay. Does the sponsor have
18 any data on drug-naïve patients, essentially
19 patients that were naïve to having received the
20 drug in the past, or was that considered in any of
21 the analyses that were performed?

22 DR. McINTOSH: Yes. Let me answer that

1 question. Study 007, all patients were the first
2 randomized, placebo-controlled study. All patients
3 were naïve to the drug. All those patients went to
4 the open-label extension studies. Study 020 again,
5 all naïve patients. So the randomized controlled
6 studies were naïve patients. The open-label
7 extension study is obviously where patients had
8 been previously exposed to drug.

9 DR. ALEXANDER: Okay. Great. Thank you. I
10 just want to try to summarize what I heard from the
11 prior exchange, which was based on a question from
12 Dr. Fountain about whether there was evidence of
13 long-term efficacy. And I think if I understood
14 your question, you were raising the question of, in
15 addition to any data that we saw about pulmonary
16 improvements, whether there was more evidence of
17 long-term or sustained effects.

18 If I understood the data that the sponsor
19 provided, it included some examples of comparisons
20 of the study population, for example, with the
21 CINRG cohort or group. Then if I understood the
22 FDA's comments following that, there were two. One

1 was that long-term primary outcome data haven't
2 been submitted to the FDA as part of this
3 application, and then the second was just
4 cautioning with respect to the use of historical or
5 asynchronous controls and in interpreting data.

6 Are there other questions for clarification?
7 I guess I have one more that I wasn't totally clear
8 on. This is for the FDA, and it has to do with
9 this correction for multiple comparisons.

10 So I'll again say on the record that I'm not
11 a biostatistician, but we've heard so many times
12 that there were lots of secondary analyses, that
13 these weren't prespecified endpoints. In some
14 cases, it sounds like in many cases they weren't
15 positive, but in some cases they were. Then we're
16 heard a lot about nominal statistical significance.

17 So for Xiang Ling or someone from the FDA,
18 is it not possible to adjust for multiple
19 comparisons? I think at one point you briefly said
20 that had adjustments been made, even fewer of the
21 analyses would have been statistically significant,
22 but it seems to me that -- are those very difficult

1 to pull off or to know how to do, or why wouldn't
2 one just do those adjustments?

3 DR. LING: As a primary analysis for
4 Study 007, as a type 1 error control was not
5 prespecified for secondary endpoints, there's no
6 way for us to really to compute or adjust the
7 p-value for the secondary endpoints.

8 If we were going to adjust for the
9 multiplicity of only testing the 2 doses, we can do
10 that, but we cannot adjust for multiple doses and
11 multiple endpoints.

12 DR. ALEXANDER: Okay. Thank you.

13 Are there further clarifying questions for
14 either the FDA or --

15 MR. SOUZA: May I comment on that?

16 DR. ALEXANDER: No, my question was for the
17 FDA. Thank you.

18 MR. SOUZA: There was a question before the
19 break that we asked to comment on.

20 DR. ALEXANDER: Yes, I understand that, but
21 I'm sure that there may be more that you wish to
22 say, and also probably more that the FDA wishes to

1 say, and this period is really reserved for
2 discussion among the panel, as well as specific
3 questions that we have for either you or the FDA.

4 Are there other questions that the panelists
5 have either specifically for the sponsor or for the
6 FDA? If not, I think we'll go to the voting
7 portion of the meeting.

8 Dr. Gordon?

9 DR. GORDON: We heard during the course of
10 the proceedings today that there is an additional
11 study underway, and I'm curious to clarify if this
12 is a double-blind, randomized, control study, and
13 if so, perhaps we can hear a little bit more about
14 it to understand what data might be forthcoming.

15 DR. ALEXANDER: Okay. Thank you. That's a
16 question for the sponsor, I presume?

17 DR. GORDON: Yes, it is.

18 DR. ALEXANDER: Okay.

19 DR. McINTOSH: Thank you very much. Yes. I
20 will put the slide up here. The current study,
21 which we refer to as Study 041 by number, is a
22 double-blind, placebo-controlled study. The

1 double-blind, placebo-controlled period is
2 72 weeks, followed by additional 72 weeks of
3 open-label therapy, so it's a three-year study.

4 This study is essentially part of our
5 postmarketing commitment in Europe and to provide
6 additional evidence of effectiveness. The expected
7 results will be '20, '21, '22, with any filing
8 based on that to be 2023.

9 **Questions to the Committee and Discussion**

10 DR. ALEXANDER: Thank you very much. We'll
11 now proceed with the questions to the committee and
12 panel discussions, and I'd like to remind public
13 observers that while this meeting is open for
14 public observation, public attendees may not
15 participate, except at the request of the panel.

16 For voting questions, we'll be using an
17 electronic system. When we begin the vote, the
18 buttons on your microphone will start flashing and
19 will continue to flash even after you've entered
20 your vote. Please press the button firmly that
21 corresponds to your vote. If you're unsure of your
22 vote, or you wish to change your vote, you may

1 press the corresponding button until the vote is
2 closed.

3 After everyone has completed their vote, the
4 vote will be locked in. The vote will then be
5 displayed on the screen. The designated federal
6 officer will read the vote from the screen into the
7 record.

8 Next, we will go around the room and each
9 individual who voted will state their name and vote
10 into the record. You can also state the reason why
11 you voted as you did, if you want. I think that's
12 very helpful qualitative information for the agency
13 sometimes; perhaps even more helpful than the
14 quantitative vote. We will continue in the same
15 manner until all questions have been answered or
16 discussed.

17 The question that we've been asked to vote
18 on is the best interpretation of the information
19 presented today regarding the use of ataluren for
20 the treatment of dystrophinopathies resulting from
21 nonsense mutations in the dystrophin gene is that:
22 a) the data suggests that ataluren is not

1 effective; b) although it is possible that ataluren
2 may be effective, the data are inconclusive, and
3 more work would be needed to establish whether
4 ataluren is effective; or c) the data are
5 sufficient to conclude that ataluren is effective.

6 Are there any issues or questions about the
7 wording of the question itself? Yes, Mr. Watkins?

8 MR. WATKINS: Yes. When you say the data,
9 do you incorporate the testimony from the public
10 comments, from physicians, and clinicians that have
11 studied populations under the drug, is that part of
12 the data set that we're asked to comment on or vote
13 on?

14 DR. ALEXANDER: Yes. You should consider
15 the totality of information that was submitted in
16 the briefing packets that have been provided to
17 you, as well as the proceedings of the discussion
18 today.

19 Are there other clarifying questions?

20 (No audible response.)

21 DR. ALEXANDER: If not, I'll once again read
22 the question and the options. The best

1 interpretation of the information presented today
2 regarding the use of ataluren for the treatment of
3 dystrophinopathies resulting from nonsense
4 mutations in the dystrophin gene is that: a) the
5 data suggests that ataluren is not effective;
6 b) although it is possible that ataluren may be
7 effective, the data are inconclusive, and more work
8 would be needed to establish whether ataluren is
9 effective; or c) the data are sufficient to
10 conclude that ataluren is effective. Please enter
11 your note now.

12 (Voting.)

13 DR. CHOI: Everyone has voted. The vote is
14 now complete.

15 DR. ALEXANDER: Okay.

16 DR. CHOI: For the record, we have zero
17 votes for A, 10 votes for B, and 1 vote for C.

18 DR. ALEXANDER: Thank you. We'll now go
19 around the room, and please state your name and
20 your vote into the record, and I think just a very
21 brief qualitative discussion about why you voted
22 the way you did would be of assistance as well.

1 Why don't we start over here with the first
2 voting member, which would be Dr. Onyike?

3 DR. ONYIKE: Yes. I --

4 DR. ALEXANDER: Please state your name,
5 again, and your vote for the record.

6 DR. ONYIKE: My name is Chiad Onyike. I
7 voted that the data are inconclusive. We've heard
8 the testimony from the FDA, I won't repeat it.
9 We've heard as well the testimony from the sponsor.
10 But the reality is that, firstly, the dispute is
11 about the signal in a very narrow group, whereas
12 approval will apply to everyone.

13 The quality of that signal is still disputed
14 and is, in fact, under investigation in the new
15 study. So it would be premature to call a verdict
16 while that study is in progress.

17 What I'm also curious about is what the
18 experience is and what the data is from the market,
19 basically, in areas where this drug is already
20 available, and we heard nothing about that.

21 As for the testimony from the families, it
22 is compelling on its face, but it's usually the

1 case that advocacy testimony is compelling. It's
2 also the case that not every person who was in the
3 trial, or who has been in the trial, so who's been
4 exposed to the medication, has testified. So it's
5 very difficult to understand how to think about
6 that that quantitatively.

7 I think on balance, when you hear compelling
8 experience and you hear inconclusive data, and you
9 understand that there's a study in progress that
10 might resolve the question, it seems to me best to
11 wait.

12 DR. ALEXANDER: Thank you. Dr. Kesselheim?

13 DR. KESSELHEIM: Hi. My name is Aaron
14 Kesselheim. I voted for B. I think that I came
15 back to the question of whether there was
16 substantial evidence in this case. And it seemed
17 like the evidence that kept coming out was mostly
18 from post hoc re-examinations of existing trials
19 after the data had been revealed.

20 I'm concerned about the possibility for
21 whether, consciously or sub-consciously, there are
22 ways that reanalysis of data in that way can be

1 misleading.

2 I'm not one to be fixed on any particular
3 p-value. I think that for me the critical issue
4 here was that in a lot of the studies that we saw,
5 when the studies were set up and evaluated
6 prospectively, that there was not a clear effect.
7 Retrospectively, it looked like there were certain
8 areas where there were clear effects, and that kind
9 of reanalysis can be misleading.

10 I feel like there is still a lot to know
11 about whether or in what circumstances this drug
12 might be effective, and it seems like that data is
13 underway. But for me, right now, it did not appear
14 that there was evidence of effectiveness of the
15 drug.

16 DR. ALEXANDER: Thank you. Dr. Green?

17 DR. GREEN: Okay, I'm Mark Green. I voted B
18 as well. I found the public testimony compelling.
19 I found the data disappointing. I understand that
20 defining an acceptable significance level in a
21 study is a bit of sliding scale, a bit of a value
22 judgment, and I think it's reasonable to alter it

1 based on the penalty of being wrong.

2 I hope as we go forward -- and hope we will
3 go forward with this drug development -- that we
4 predefine these significance levels before the data
5 is broken, so it becomes more definable to us when
6 it's evaluated in the future.

7 DR. ALEXANDER: I'm Caleb Alexander, and I
8 voted B, as well, inconclusive. I think the FDA
9 does often exercise flexibility, but there is some
10 statutory threshold of evidence of efficacy and
11 safety required for approval. I guess when I was
12 looking at the question about whether or not there
13 was evidence of effectiveness, I felt that the data
14 were inconclusive.

15 The dystrophin analyses are of interest, but
16 we heard a number of reasons that those are
17 difficult to interpret. The U-shaped dose-response
18 association was also of interest, but there were
19 alternative explanations for those. The subgroup
20 analyses, as has already been mentioned by others,
21 were post hoc, and even those weren't necessarily
22 always consistent.

1 I'm someone that lives doing observational
2 analyses, but even the meta-analysis wasn't as
3 convincing as it might have been because of its
4 being based on subgroups. So I'm not sure any
5 number of post hoc analyses can replace the
6 confidence that's provided by a well-controlled,
7 randomized trial that meets its primary,
8 prespecified endpoints.

9 DR. PERLMUTTER: I'm Joel Perlmutter, and I
10 voted B as well. I was impressed by the
11 heart-wrenching testimony of many of the people in
12 the public, and I thought that was very compelling.
13 But I'm also reminded if that kind of response had
14 occurred in all these people that were treated with
15 this in the study, we wouldn't have had a failure
16 to find statistically significant results. So
17 although it's compelling and it's emotional, it
18 wasn't really supported by the data that we had at
19 hand to review.

20 The post hoc analysis, I find that there are
21 multiple questions in my mind about its validity.
22 I think there's a lot of interesting potential with

1 this. I think a way forward is clear.

2 I take care of a lot of people who have
3 neurodegenerative diseases, and I know the harm of
4 going off on a treatment that turns out not to be
5 useful down the road. So there's risk in that as
6 well. This could be great, but I don't think we
7 have the data, yet, to approve that.

8 DR. FOUNTAIN: Nathan Fountain. I voted B,
9 inconclusive, and I agree with everything that's
10 been said so far, that the data is just not
11 sufficiently compelling. But I think we need to
12 congratulate PTC and thank them for this tireless
13 effort, because if companies, sponsors, people
14 don't pursue rare and uncommon diseases, which is a
15 relatively narrow group -- and especially I thank
16 the participants in the clinical trials. They are
17 the heroes that do it, so it's a really heavy
18 burden we have to decide that.

19 I hope this encourages you to move forward,
20 particularly with the ongoing clinical trial that
21 deals with many of the things. I think that the
22 next trial sounds like it'll really be

1 groundbreaking.

2 But as to the data we have before us, I also
3 think that it's inconclusive for all those reasons,
4 but I would have a little difficult perspective. I
5 think all of the data is going in the right
6 direction. Each one, at face value, I think
7 provides evidence, but the problem is the evidence
8 of each one is not very strong, so when all
9 considered together, is not quite strong enough.
10 But I think we have to encourage that continued
11 pursuit for this specific condition, but the
12 general philosophy as well.

13 DR. MIELKE: Michelle Mielke. I also voted
14 B, and I fully agree with the previous comments.
15 There is clearly an unmet need in DMD. It would be
16 wonderful to have something that was a little bit
17 more effective.

18 The discussions today were very compelling.
19 There appears to be a trend in the data, but at
20 this point, I don't think there's enough evidence
21 there right now to say that the drug is effective.
22 I strongly encourage PTC to continue working on

1 this with their additional trials.

2 DR. KRYSICIO: It's Richard Kryscio. I voted
3 B as well. I found that we do need a definitive
4 trial. I certainly want to thank all the
5 participants, especially the company for pursuing
6 this topic and actually providing very good data.
7 I thank the young men who came here this afternoon
8 to tell us their experience in being the clinical
9 trials.

10 MR. LISON: Wyatt Lison. I voted B as well.
11 I am not a medical doctor. I am not a PhD. I'm a
12 lawyer. I came here as a consumer representative
13 to look at whether or not there was data sufficient
14 to say from the consumer angle that this should be
15 marketed.

16 Based solely on the language in the
17 question, my inclination would suggest that the
18 data shows it's not effective because it didn't
19 meet its primary endpoints. But based on the
20 company's vigilance, their continued study, the
21 additional clinical trial, and the testimony of the
22 people who came here today, I really hope it works.

1 I really hope they can show it's effective and they
2 can get it to market.

3 MR. WATKINS: Jeff Watkins, and I'm the guy
4 that voted C.

5 (Applause.)

6 MR. WATKINS: As the father of a son who had
7 Duchenne muscular dystrophy, and I experienced all
8 phases of the disease with him, I came in here
9 today -- I did my homework. I read everything. I
10 read all the comments. I read the testimonies from
11 the FDA. I have somewhat of a scientific
12 background, so I was very impressed with the
13 criticism the FDA had and the lack of statistical
14 validity, and I was prepared to vote B coming in.

15 I changed my vote for two reasons. One, I
16 read all the hundred and some odd comments online,
17 and I did a little analysis. And of those hundred
18 and some comments, 19 were from clinicians who had
19 observed positive impact of the drug on their
20 patients, and some were stronger than others.

21 Those 19 clinicians had a total of 74
22 patients, all over the world, under their care,

1 some here in the U.S., but in Italy and the U.K.
2 So I put a lot of validity to their observations.
3 They're not data from the trial necessarily, but
4 they're valid observations, because I know, I saw
5 my son progress through the stages, and anything
6 that would stop or even reverse, I would have
7 considered a miracle. He wasn't on any kind of
8 drug trial.

9 Then the second powerful evidence that I
10 heard today was the reversal or the deleterious
11 effect of stopping the drug. Patients would
12 reverse symptoms; when they get back on, they would
13 see improvement.

14 So I interpreted that, there's sufficient
15 data to conclude it's effective. It's not
16 effective in everybody. It's not a cure, but it's
17 certainly effective, I believe, in some people.

18 DR. OVBIAGELE: Bruce Ovbiagele. I voted B.
19 Going last, I don't have much to add to my other
20 colleagues who also voted B. I will say that I am
21 cautiously optimistic about ataluren. I was highly
22 inspired by the public testimony. However, I think

1 the data are encouraging, but there are lots of
2 concerns, lots of concerns in terms of the
3 interpretation of data, so much that it was hard
4 for me to vote anything else apart B. But I'm
5 very, very optimistic, and I laud PTC for all they
6 have done.

7 DR. ALEXANDER: Thank you. I'd like to try
8 to summarize what I heard. First, congratulating
9 PTC for their tireless effort to bring this product
10 to market and their vigilance and undertaking, all
11 of the scientific work that we've seen.

12 To patients that participated in clinical
13 trials, several panelists noted thanks to those
14 patients and their families, high levels of unmet
15 need. With respect to those people that we heard
16 from in the room, very compelling testimony; once
17 again, thanking individuals for sharing their
18 experiences and their experiences with the product
19 and living with Duchenne's.

20 One panelist also noted, however, that if
21 the same sort of response that was expressed by the
22 panelists here were to be consistently demonstrated

1 by the product, then we wouldn't have had as hard a
2 time. The drug would have been more likely to have
3 met primary, prespecified, statistical significance
4 tests.

5 On balance, globally, there were many
6 panelists expressing that the data felt
7 inconclusive. One said disappointing, not
8 sufficiently compelling. It's going in the right
9 direction, but not sufficiently strong, a trend in
10 the data, but not sufficiently strong; need a
11 definitive trial.

12 We heard from a panelist that voted in
13 support of adequate demonstration of effectiveness,
14 who noted that it does appear to be effective in
15 some people, and that anything that could help, it
16 would be valuable to have that treatment available.
17 Also, the panelist noted powerful anecdotes from
18 patients and family members about reversal, when
19 the product was stopped, that people's symptoms
20 worsened.

21 There were several comments about post hoc
22 analyses that were performed and that the evidence

1 that was provided was mainly from post hoc
2 analyses, and there was concern about the
3 possibility of conscious or subconscious bias.
4 There was a comment made about statistical
5 significance, that one need not be fixated on a
6 specific p-value, but the prospective studies
7 didn't have a clear effect.

8 There was an emphasis on the importance of
9 future research and an interest in that, lots more
10 to know about whether and in what circumstances
11 this drug might be effective. One panelist said
12 the way forward is clear; lots of interest and lots
13 of possibility.

14 Finally, a panelist noted that there is a
15 concern also about treatments that turn out not to
16 be useful and that there are risks in pursuing
17 treatments that turn out not to be useful
18 clinically as well.

19 That concludes my summary of the comments,
20 and I just would like to thank all of you,
21 especially patients and family members, and loved
22 ones, clinicians, and the others that have

1 participated in these trials, and participated in
2 making today possible.

3 Also, there's an enormous amount of work
4 that we don't appreciate, even having read all of
5 the briefings that the sponsors and the FDA have
6 done to synthesize everything that we've
7 deliberated over today. So it's really just an
8 incredible undertaking and a very important part of
9 our commercial development, so I'd like to thank
10 the sponsors and FDA.

11 Finally, I'd like to give the FDA an
12 opportunity for any final comment before we
13 adjourn.

14 DR. DUNN: Thank you, Dr. Alexander.
15 Comments I would like to make are a few that would
16 echo some that we've heard. First, I'd like to
17 thank the committee for their careful
18 considerations and your input. You probably see us
19 over here scribbling furiously. We take the input
20 very seriously. That's why we convened the
21 committee, and we'll be sure to take this all under
22 careful advisement.

1 I would like to thank all of the -- I
2 already did this this morning, but I want to
3 reiterate my thanks to the patients, the families,
4 and the invested parties who came here to testify
5 on behalf of ataluren, or in reference to ataluren,
6 I should say, given the spectrum of opinions that
7 were provided.

8 We listened very hard. I echo the feelings
9 of the committee and that we find the testimony
10 highly compelling. We're very interested in this,
11 and we are very interested in working carefully and
12 proactively with our sponsors to gather these data,
13 as best we can because these stories -- as one of
14 the committee members pointed out -- they're there.
15 We tend to hear them at these committees, and we
16 want to make sure that we're capturing them and we
17 understand them.

18 It's very challenging, as I think
19 Dr. Perlmutter pointed out, to see a disconnect
20 between what we hear and what the data from the
21 trial show, so we are going to be paying an awful
22 lot of attention to that.

1 materials left on the table will be disposed of.
2 Please also remember to drop off your name badge at
3 the registration table on your way out so that they
4 may be recycled. Thank you again.

5 (Whereupon, at 3:54 p.m., the meeting was
6 adjourned.)

7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22