

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

DERMATOLOGIC AND OPHTHALMIC DRUGS
ADVISORY COMMITTEE (DODAC)

Friday, October 13, 2017

8:30 a.m. to 1:23 p.m.

FDA White Oak Campus
White Oak Conference Center
Building 31, The Great Room
Silver Spring, Maryland

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **LaToya Bonner, PharmD, NCPS**

4 Division of Advisory Committee and

5 Consultant Management

6 Office of Executive Programs, CDER, FDA

7

8 **DERMATOLOGIC AND OPHTHALMIC DRUGS ADVISORY**

9 **COMMITTEE MEMBERS (Voting)**

10 **James Chodosh, MD, MPH**

11 *(Chairperson)*

12 DG Cogan Professor of Ophthalmology

13 Associate Director, Cornea Service

14 Massachusetts Eye & Ear

15 Harvard Medical School

16 Boston, Massachusetts

17

18 **Geoffrey G. Emerson, MD, PhD**

19 Physician

20 Retina Center of Minnesota

21 Minneapolis, Minnesota

22

1 **Sidney Gicheru, MD**

2 Medical Director

3 LaserCare Eye Center

4 Irving, Texas

5

6 **David K. Yoo, MD**

7 Associate Professor, Ophthalmology

8 Associate Program Director, Ophthalmology

9 Residency

10 Director, Ophthalmic Plastic, Reconstructive, and

11 Orbital Surgery

12 Loyola University Medical Center

13 Edward Hines Veterans Administration (VA)

14 Maywood, Illinois

15

16 **DERMATOLOGIC AND OPHTHALMOLOGIC DRUGS ADVISORY**

17 **COMMITTEE MEMBER (Non-Voting)**

18 **Marla B. Sultan, MD, MBA**

19 *(Industry Representative)*

20 Global Clinical Lead, Global Product Development

21 Pfizer, Inc.

22 New York, New York

1 **TEMPORARY MEMBERS (Voting)**

2 **Jo Ellen DeLuca**

3 *(Patient Representative)*

4 Spartanburg, South Carolina

5

6 **Randy W. Hawkins, MD**

7 *(Acting Consumer Representative)*

8 Private Practice

9 Internal Medicine & Pulmonary Medicine

10 Member, Medical Board of California

11 Department of Internal Medicine

12 Charles Drew University of Medicine and Science

13 Los Angeles, California

14

15 **Tonya King, PhD**

16 Professor of Biostatistics

17 Department of Public Health Sciences

18 Pennsylvania State University College of Medicine

19 Hershey, Pennsylvania

20

21

22

1 **Young H. Kwon, MD, PhD**

2 Clifford M & Ruth M Altermatt Professor

3 Department of Ophthalmology & Visual Sciences

4 University of Iowa Health Care

5 Iowa City, Iowa

6
7 **Mildred Olivier, MD, FACS**

8 Assistant Dean for Diversity

9 Director of Global Health

10 Professor of Surgery, Rosalind Franklin University

11 of Medicine and Science,

12 Rosalind Franklin University of Medicine and

13 Science/Chicago Medical School

14 Department of Ophthalmology

15 John H. Stroger, Jr. Hospital of Cook County

16 CEO, Midwest Glaucoma, PC

17 Hoffman Estates, Illinois

18
19 **Peter Zloty, MD**

20 Ophthalmologist

21 Southern Eye Network

22 Mobile, Alabama

1 **FDA PARTICIPANTS (Non-Voting)**

2 **John Farley, MD, MPH**

3 Deputy Director

4 Office of Antimicrobial Products (OAP)

5 Office of New Drug (OND), CDER, FDA

6

7 **Wiley Chambers, MD**

8 Deputy Director

9 Division of Transplant and Ophthalmology Products

10 (DTOP), OAP, OND, CDER, FDA

11

12 **Sonal D. Wadwa, MD**

13 Medical Officer

14 DTOP, OAP, OND, CDER, FDA

15

16 **Yunfan Deng, PhD**

17 Statistical Reviewer

18 Division of Biometrics IV, Office of Biostatistics

19 Office of Translational Sciences (OTS)

20 CDER, FDA

21

22

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	James Chodosh, MD	9
5	Conflict of Interest Statement	
6	LaToya Bonner, PharmD	13
7	FDA Opening Remarks	
8	Wiley Chambers, MD	16
9	Applicant Presentations - Aerie Pharmaceuticals	
10	Introduction	
11	Marvin Garrett	20
12	Unmet Medical Needs	
13	Richard Lewis, MD	21
14	Program Design and Efficacy	
15	Casey Kopczynski, PhD	31
16	Safety	
17	Theresa Heah, MD, MBA	47
18	Benefits and Risks	
19	Janet Serle, MD	65
20	Clarifying Questions	74
21		
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	FDA Presentations	
4	FDA Clinical Presentation	
5	Sonal Wadhwa, MD	86
6	FDA Statistical Presentation	
7	Yunfan Deng, PhD	91
8	FDA Safety Presentation	
9	Sonal Wadhwa, MD	103
10	Clarifying Questions	110
11	Clarifying Questions (continued)	146
12	Questions to the Committee and Discussion	155
13	Adjournment	185
14		
15		
16		
17		
18		
19		
20		
21		
22		

1 P R O C E E D I N G S

2 (8:30 a.m.)

3 **Call to Order**

4 **Introduction of Committee**

5 DR. CHODOSH: Good morning. I'm working
6 from a script. I'll try not to make it sound like
7 it.

8 My name is James Chodosh. I first need to
9 remind everybody to silence your cell phones,
10 smartphones, and any other devices that might make
11 sound during the meeting, and I also need to
12 identify the FDA press contact, Theresa Eisenman,
13 who I don't believe has arrived at this point.

14 Again, I'm James Chodosh. I'm chairing this
15 meeting of the Dermatologic and Ophthalmologic
16 Drugs Advisory Committee, and I'm calling the
17 meeting to order as of now. We're going to start
18 by going around the table and introducing
19 ourselves, and if we could start with you, John.

20 DR. FARLEY: Good morning. I'm John Farley.
21 I'm deputy director of the Office of Antimicrobial
22 Drug Products in which the Division of Transplant

1 and Ophthalmology Products resides at CDER, FDA.

2 DR. CHAMBERS: Good morning. I'm Wiley
3 Chambers. I'm a supervisory medical officer in the
4 Division of Transplant and Ophthalmology Products.

5 DR. WADHWA: Good morning. I'm Sonal
6 Wadhwa. I'm a medical officer in the Division of
7 Ophthalmology and Transplant Products.

8 DR. DENG: Good morning. My name is Yunfan
9 Deng. I'm the statistical reviewer in the Division
10 of Biometrics in the Office of Biostatistics.

11 DR. EMERSON: I'm Geoff Emerson. I'm an
12 ophthalmologist in Minneapolis.

13 DR. KWON: Good morning. My name is Young
14 Kwon. I'm a professor of ophthalmology
15 specializing in glaucoma at University of Iowa.

16 DR. OLIVIER: Mildred Olivier from Chicago,
17 Illinois and glaucoma specialist professor at
18 Rosalind Franklin University.

19 CDR BONNER: Good morning. My name is
20 LaToya Bonner. I'm the DFO for DODAC.

21 DR. ZLOTY: Peter Zloty, ophthalmologist,
22 Mobile, Alabama.

1 DR. HAWKINS: Good morning. Randy Hawkins,
2 internal medicine and pulmonary medicine in Los
3 Angeles, California and member of the Medical Board
4 of California.

5 MS. DeLUCA: Jo Ellen DeLuca. I'm the
6 patient representative.

7 DR. KING: Good morning. I'm Tonya King,
8 professor of biostatistics at Penn State College of
9 Medicine.

10 DR. GICHERU: Sid Gicheru, I'm an
11 ophthalmologist in private practice from Dallas,
12 Texas.

13 DR. YOO: Good Morning. Dave Yoo. I am an
14 ophthalmologist associate professor at Loyola in
15 the Chicago area specializing in oculoplastics.

16 DR. SULTAN: Morning. Marla Sultan,
17 ophthalmologist working at Pfizer as a global
18 clinical lead in global product development,
19 serving as the industry representative.

20 DR. CHODOSH: Thank you so much.

21 For topics such as those being discussed at
22 today's meeting, there are often a variety of

1 opinions, some of which are strongly held. Our
2 goal is we have a fair and open forum for
3 discussion of these issues and that individuals
4 with interest can express their views without
5 interruption.

6 As a general reminder, I would tell you that
7 individuals will be allowed to speak into the
8 record only if recognized by the chair -- that's
9 me -- and we're looking forward to a productive
10 meeting.

11 In the spirit of the Federal Advisory
12 Committee Act and the Government in the Sunshine
13 Act, we ask that the advisory committee members
14 take care that their conversations about the topic
15 at hand take place in the open forum of the
16 meeting.

17 We are aware that members of the media may
18 be anxious to speak with FDA about these
19 proceedings. However, the FDA will refrain from
20 discussing the details of this meeting with the
21 media until its conclusion. Also, the committee is
22 reminded to please refrain from discussing the

1 meeting topic during breaks or lunch. Thank you.

2 I'm going to pass it on to Commander LaToya
3 Bonner, who will read you the conflict of interest
4 statement.

5 **Conflict of Interest Statement**

6 CDR BONNER: The Food and Drug
7 Administration is convening today's meeting of the
8 Dermatologic and Ophthalmologic Drugs Advisory
9 Committee under the authority of the Federal
10 Advisory Committee Act of 1972. With the exception
11 of the industry representative, all members and
12 temporary voting members of the committee are
13 special government employees or regular federal
14 employees from other agencies and are subject to
15 federal conflict of interest laws and regulations.

16 The following information on the status of
17 this committee's compliance with federal ethics and
18 conflicts of interest laws, covered by but not
19 limited to those found at 18 U.S.C. Section 208, is
20 being provided to participants in today's meeting
21 and to the public.

22 FDA has determined that members and

1 temporary voting members of this committee are in
2 compliance with federal ethics and conflict of
3 interest laws. Under 18 U.S.C. Section 208,
4 Congress has authorized FDA to grant waivers to
5 special government employees and regular federal
6 employees who have potential financial conflicts
7 when it is determined that the agency's need for a
8 special government employee's services outweighs
9 his or her potential financial conflict of
10 interest, or when the interests of a federal
11 employee is not so substantial as to be deemed
12 likely to affect the integrity of the services
13 which the government may expect from the employee.

14 Related to the discussions of today's
15 meeting, members and temporary voting members of
16 this committee have been screened for potential
17 financial conflicts of interest of their own as
18 well as those imputed to them, including those of
19 their spouses or minor children and for purposes of
20 18 U.S.C. Section 208, their employers. These
21 interests may include investments; consulting;
22 expert witness testimony; contracts, grants,

1 CRADAs; teaching, speaking, writing; patents and
2 royalties; and primary employment.

3 Today's agenda involves discussion of the
4 safety and efficacy of new drug application 208254
5 for netarsudil ophthalmic solution 0.02 percent
6 submitted by Aerie Pharmaceuticals, Incorporated
7 for the proposed indication to reduce elevated
8 intraocular pressure in patients with open-angle
9 glaucoma or ocular hypertension. This is a
10 particular matters meeting during which specific
11 matters related to Aerie's NDA will be discussed.

12 Based on the agenda for today's meeting and
13 all financial interests reported by the committee
14 members and temporary voting members, no conflict
15 of interest waivers have been issued. To ensure
16 transparency, we encourage all standing committee
17 members and temporary voting members to disclose
18 any public statements that they have made
19 concerning the product at issue.

20 With respect to FDA's invited industry
21 representative, we would like to disclose that
22 Dr. Marla Sultan is participating in this meeting

1 as a nonvoting industry representative acting on
2 behalf of regulated industry. Dr. Sultan's role at
3 this meeting is to represent industry in general
4 and not any particular company. Dr. Sultan is
5 employed by Pfizer.

6 We would like to remind members and
7 temporary voting members that if the discussions
8 involve any other products or firms not already on
9 the agenda for which an FDA participant has a
10 personal or imputed financial interest, the
11 participant needs to exclude themselves from such
12 involvement, and their exclusion will be noted for
13 the record. FDA encourages all participants to
14 advise the committee of any financial relationships
15 that they may have with the firm at issue. Thank
16 you.

17 DR. CHODOSH: Thank you so much.

18 We're now going to proceed with the FDA
19 introductory remarks, and I present Dr. Wiley
20 Chambers.

21 **FDA Opening Remarks - Wiley Chambers**

22 DR. CHAMBERS: Thank you very much and good

1 morning. Welcome on behalf of the FDA, including
2 the Center for Drug Evaluation and Research, the
3 Office of Antimicrobial Drug Products, and the
4 Division of Transplant and Ophthalmology Products.

5 Today FDA is asking the advisory committee
6 to discuss netarsudil ophthalmic solution, which is
7 proposed to lower intraocular pressure in
8 individuals with elevated intraocular pressure or
9 glaucoma. While there remain no available cures
10 for glaucoma, the proposed claim for this product,
11 similar to other products, is to deal with one of
12 the leading risk factors, elevated intraocular
13 pressure, which we know contributes to potential
14 blindness.

15 We continue to be encouraged by the
16 development of products that attempt to make
17 glaucoma manageable. While the FDA does not
18 routinely bring all new drug applications to an
19 advisory committee for discussion, we specifically
20 consider whether every new molecular entity would
21 benefit from discussion at an advisory committee
22 meeting. In this particular case, the fact that

1 netarsudil is a new class of drug products has
2 prompted us to bring the clinical portion of this
3 application for committee discussion.

4 I would like to remind everybody that we
5 only intend to discuss the clinical aspects of this
6 application. As part of the review of this
7 application and prior to any approval, the
8 nonclinical studies, the identity, purity, quality,
9 sterility, stability, manufacturing, and storage
10 facilities will also be reviewed by FDA staff.
11 Today we're only discussing the clinical portion.

12 I want to sincerely thank all the members of
13 this committee who have given their time in order
14 to participate in today's discussion. I also want
15 to thank members of the FDA review team, the
16 advisory committee staff who have all worked hard
17 to prepare for this meeting, as well as the company
18 who is presenting the product. Thank you very
19 much.

20 DR. CHODOSH: Thank you, Wiley.

21 Both the Food and Drug Administration and
22 the public believe in a transparent process for

1 information-gathering and decision-making. To
2 ensure such transparency at the advisory committee
3 meeting, FDA believes that it's important to
4 understand the context of an individual's
5 presentation.

6 For this reason, the FDA encourages all
7 participants, including the applicant's nonemployee
8 presenters, to advise the committee of any
9 financial relationships that they may have with the
10 applicant, such as consulting fees; travel
11 expenses; honoraria; and interests in the sponsor,
12 including equity interests and those based on the
13 outcome of the meeting.

14 Likewise, FDA encourages you at the
15 beginning of your presentation to advise the
16 committee if you do not have such financial
17 relationships. If you choose not to address the
18 issue of financial relationships at the beginning
19 of your presentation, it will not preclude you from
20 speaking.

21 We are now going to proceed with the Aerie
22 Pharmaceuticals presentations, which will be

1 limited to one hour, please.

2 **Applicant Presentation - Marvin Garrett**

3 MR. GARRETT: Good morning, Mr. Chairman,
4 members of the committee, and our colleagues from
5 FDA. My name is Marvin Garrett. I'm the vice
6 president of regulatory affairs and quality for
7 Aerie Pharmaceutical.

8 Aerie was founded in 2005 as a spinout from
9 Duke University. After years of research, we find
10 ourselves filing an NDA February of 2017, and we're
11 here today to discuss the safety and the efficacy
12 of a new molecular entity, first in class for
13 lowering intraocular pressure.

14 We respectfully request, after reviewing our
15 safety and efficacy data, that we would hope we get
16 a recommendation for approval for our new drug,
17 netarsudil ophthalmic solution 0.02 percent for the
18 reduction of intraocular pressure in patients with
19 open-angle glaucoma or ocular hypertension to be
20 dosed one drop once a day.

21 The program is as follows. In the interest
22 of time, I won't introduce each speaker. They will

1 announce themselves with proper disclosure at the
2 beginning of each presentation.

3 In addition to those listed here as
4 presenters, we have, in the box, a group of expert
5 responders that will take any of your questions.
6 We welcome a vigorous discussion, and we will
7 entertain any of your questions.

8 With that, I'd like to hand it over to Rick
9 Lewis.

10 **Applicant Presentation- Rick Lewis**

11 DR. LEWIS: Thank you, Marv, and good
12 morning, everyone. I'm the chief medical officer
13 for Aerie, also a practicing ophthalmologist
14 specializing in glaucoma in California.

15 This is an exciting time in glaucoma. We've
16 had a lot of innovation happening. It's also a
17 period that having practiced 35 years, it's a bit
18 of a frustrating time because innovation has been
19 slow in the therapeutic area for treating our
20 patients.

21 It's been an exciting time for me to be part
22 of the development of this product, netarsudil.

1 And in the 10 minutes allocated allocated to unmet
2 needs, I'm going to go over the prevalence and
3 diagnosis of glaucoma, discuss the current
4 treatment, and some of the problems attached to
5 that, and then end by a wish list for how I'd like
6 to see the treatment proceed.

7 Unfortunately, glaucoma remains a leading
8 cause of irreversible blindness worldwide. The
9 global prevalence has not changed during the course
10 of my career. This is a disease predominantly in
11 the elderly affecting a higher incidence in African
12 Americans. It remains a chronic asymptomatic
13 disease with no cure, requires long-term therapy
14 and follow-up with poor compliance to both.

15 Interestingly, most glaucoma patients don't
16 go fully blind, but they become visually disabled.
17 Visual loss from glaucoma decreases the quality of
18 life, affecting daily activities, walking, taking
19 medications, doing housework, and preparing meals.
20 Interestingly, driving is a big problem,
21 1.6 percent times greater incidence of motor
22 vehicle accidents in the glaucoma population

1 compared to normal. These patients develop a fear
2 of blindness, social withdrawal, and depression.
3 This is a real disease with real complications.

4 The diagnosis of glaucoma has evolved nicely
5 over the past 20 years. We now have very
6 sophisticated ways of imaging the optic nerve, of
7 testing visual fields, but the intraocular pressure
8 remains the key component of the diagnosis and the
9 treatment.

10 For years it was thought it was only
11 patients with elevated pressure that developed this
12 disease, but we've shown over the years,
13 particularly in a study done here at Baltimore, at
14 the Baltimore Eye Survey in 1991, that almost
15 80 percent of the patients had symptoms of glaucoma
16 with pressures of 24 or less. So it's not just the
17 patients with elevated pressure who get this
18 disease.

19 There is some uniformity in why this occurs.
20 We do know that elevated pressure is a result of
21 structural changes in the trabecular meshwork and
22 the outflow system that increase resistance to

1 outflow, and we also know that reducing elevated
2 pressure is the only effective therapy for treating
3 this disease.

4 Now, looking more closely at what actually
5 happens in the meshwork and in the outflow system,
6 this healthy trabecular meshwork over time, due to
7 cellular stresses, aging, oxidation, develops
8 fibrosis, stiffness and contraction of the tissue,
9 reducing aqueous perfusion through that tissue,
10 elevating pressure, and then causing optic nerve
11 damage and visual loss. Unfortunately, the
12 commonly used medications that we use today do not
13 target the diseased trabecular meshwork.

14 How do we treat this disease? Well, this is
15 a list of the six categories we have in glaucoma,
16 and the modern era actually started in about 1978
17 when timolol was FDA approved and launched in this
18 country. It has become and remained the gold
19 standard upon which all new glaucoma medications
20 are compared to, and that is in fact what
21 netarsudil was compared to. Unfortunately,
22 progress has been relatively slow. We haven't seen

1 a new mechanism of action in over 21 years.

2 As we look at those six categories of
3 medications, we realize that they can fall into two
4 types. There are medications that enhance the
5 outflow of fluid out of the eye, and there are
6 medications that reduce aqueous production. When
7 one or both of those mechanisms fail, we go to
8 surgery.

9 A couple of caveats here; most clinicians
10 would prefer to enhance the outflow of fluid out of
11 the eye, realizing that aqueous production is an
12 important ingredient to maintain the health of the
13 inner eye. A second caveat is that over 50 percent
14 of glaucoma patients require more than one
15 medication to control their pressure.

16 As we look at the type of medications, the
17 categories of medications that were prescribed in
18 2016, the prostaglandins are the most predominant
19 class. In fact, that's the drug that most of us
20 will prescribe first. However, there's a large
21 other grouping of medications that will be used.

22 Some patients are intolerant to medications,

1 but the fact is that a single medication only
2 controls pressure in about 50 percent of patients,
3 and the rest of those, these medications are used
4 as an adjunct to better control the pressure, and
5 these non-prostaglandin drug classes are required
6 to adequately treat glaucoma.

7 Unfortunately, compliance is difficult. You
8 get to more than one medication and you have more
9 than one application, and the dosing varies from
10 once a day up to 4 times a day. This places a
11 major burden on the patient's daily activities and
12 makes compliance for the patient quite challenging.

13 As we look at the side effects of these
14 medications, it's impressive not just from the
15 standpoint of ocular side effects but systemic side
16 effects. All of them have some degree of systemic
17 side effects, some more than others. On the ocular
18 side effects, all of them have hyperemia as a side
19 effect, but what is a greater concern is the
20 systemic side effects, particularly with the beta
21 blockers, and we'll go into more detail in a
22 minute.

1 Let's talk about side effects from the
2 standpoint of the most commonly used medications.
3 The prostaglandins are often the first line of
4 attack against glaucoma, and as we see here, some
5 of the ocular side effects are pronounced, and
6 patients are quite concerned.

7 Iris darkening from latanoprost and the
8 other prostaglandins is a well-known entity in
9 about 8 to 10 percent of patients. Peribulbar skin
10 changes, particularly a problem in African
11 Americans, darkening of the skin around the
12 eyelids.

13 Another problem is enophthalmos, loss of
14 orbital fat with long-term use of these
15 prostaglandins. These are all recognized side
16 effects, some of which are not reversible,
17 particularly the iris darkening.

18 When the prostaglandins aren't used, the
19 beta blockers are probably the second most commonly
20 used medication, and many physicians are unaware of
21 the systemic absorption of this drug. A dose of
22 one drop of 0.5 percent timolol solution to each

1 eye has a comparable peak plasma concentration of a
2 10-milligram oral dose, and many of these patients
3 are taking this twice a day, particularly in the
4 combination products.

5 These physicians are unaware that this drug,
6 this eye drop that seems to be so benign,
7 particularly to the eye because it has so few
8 ocular side effects, can cause bradycardia, AV
9 block, systemic hypotension, symptoms of heart
10 failure, drowsiness, depression, and loss of
11 libido, very serious side effects from just a
12 single eye drop.

13 The third and fourth most common categories
14 are the alpha agonists, the CAIs. These are well
15 known to cause follicular conjunctivitis, redness,
16 and blepharitis, so all serious ocular problems and
17 systemic problems attached to current medications.
18 And this leads to the limitations of current
19 medical therapy in that none of them treat the
20 diseased trabecular outflow system.

21 They all have systemic side effects. The
22 first line therapy does not optimize IOP reduction.

1 What I'm saying here is we'd like to see an eye
2 drop that controls pressure 24 hours a day that
3 doesn't have tachyphylaxis, and has better control
4 of pressure.

5 Fourth, the adjunctive medications all
6 increase the complexity of dosing requiring 2-, 3-,
7 or 4-times a day applications. It is interesting
8 that there's been multiple efforts to try to find a
9 combination prostaglandin/beta blocker, none of
10 which have been able to pass through an FDA
11 approval process because they didn't add enough IOP
12 efficacy, so it's been a challenge for us to treat
13 these patients given these limitations.

14 Now one could argue we have new surgical
15 options out there. Well, glaucoma surgery is not
16 as ideal as it might seem. Laser trabeculoplasty,
17 a very safe procedure, has a success rate of about
18 50 percent at two years. It fails. It can be
19 repeated, but there is potential damage to the
20 meshwork.

21 Incisional surgery, trabeculectomy, has well
22 recognized complications, and these are quite

1 serious ones. And it also fails at about five
2 years with only 50 percent of patients maintaining
3 good pressure control without additional
4 medication.

5 In summary, if I was asked to seek a
6 glaucoma medication wish list, what I would like to
7 see would be targeted therapy for the disease
8 trabecular outflow system. I'd like to see the
9 outflow system develop better flow to
10 restore -- the conventional outflow pathways to get
11 better flow out of the eye. And because of this
12 new mechanism, I'd like to see a drug that I could
13 use as an adjunct to existing drugs. So if we use
14 a prostaglandin, I'd like to be able to use a
15 second drug that we know would be additive.

16 I'd like to see more effective IOP lowering.
17 I'd like to see long-term stable efficacy. Those
18 issues I raised earlier where we want pressure
19 controlled 24 hours a day, particularly at night,
20 which many of the current topical medications don't
21 do, I'd like to see. I'd like to see the avoidance
22 of tachyphylaxis and better IOP control.

1 Safety is critical. We'd like to see drugs
2 with no drug-related systemic side effects, and
3 with those ocular side effects that are sometimes
4 unavoidable, we want those to be tolerable and
5 reversible. And lastly, we want a drug that's
6 convenient that could be used once a day to enhance
7 compliance and quality of life.

8 With that, I'd like to turn this over to the
9 chief scientific officer for Aerie and the founder,
10 Dr. Casey Kopczynski.

11 **Applicant Presentation - Casey Kopczynski**

12 DR. KOPCZYNSKI: Thank you, Rick.

13 Good morning. I'm going to describe both
14 our program design for the phase 3 study as well as
15 our efficacy results. Within the program design
16 section, I'm going to tell you a little bit more
17 about the mechanism of action of this drug because
18 it is relevant to how we designed our phase 3
19 studies. Then I will present our efficacy results
20 and show you that netarsudil when dosed once daily
21 has shown itself to be noninferior to timolol dosed
22 twice daily in three adequate and well-controlled

1 phase 3 studies.

2 Studying the mechanism of action, netarsudil
3 is a new drug class. It's an inhibitor of
4 Rho-kinase. Rho-kinase is a serine-threonine
5 kinase. It's known to cause contraction and act as
6 sort of the matrix deposition in the trabecular
7 outflow pathway.

8 We've shown that netarsudil lowers IOP by
9 three mechanisms, but the primary mechanism is
10 relaxation of the trabecular meshwork to improve
11 trabecular outflow. We've seen that not only in
12 our preclinical models but also in human tissue, as
13 well as in healthy volunteers.

14 This slide shows on the left-hand slide
15 histologically what happens when netarsudil is
16 perfused into a human donor eye. The top panel is
17 a control eye perfused with saline. The bottom
18 panel has been perfused with netarsudil. You can
19 see that the trabecular tissue expands, opens up
20 additional spaces for fluid to flow through, and
21 that reduces resistance to outflow, increasing
22 outflow facility.

1 Again, we've tested the drug in healthy
2 volunteers in humans and have confirmed that when
3 dosed once daily for 7 days, we see an increase in
4 outflow facility of about 20 percent.

5 Does the mechanism of IOP lowering matter
6 with respect to its clinical relevance? It does
7 for a couple different reasons. One is that, as
8 you heard, physicians often require more than one
9 medication to achieve the IOP reductions that are
10 required for their patients. To do that,
11 physicians look to the label to find medications
12 with different mechanisms of IOP lowering.

13 We've shown that when we combine netarsudil
14 with latanoprost, a prostaglandin, that those two
15 mechanisms are complementary, and we get additional
16 IOP lowering when using netarsudil with
17 latanoprost.

18 Mechanism is also important with respect to
19 controlling IOP throughout 24 hours. Again, as
20 Rick mentioned, it's understood that some drug
21 classes such as the beta blockers and alpha
22 agonists have no ability to lower IOP through the

1 nighttime hours. Prostaglandins and CAIs do offer
2 some IOP-lowering protection but are less effective
3 at night than they are during the day at lowering
4 IOP.

5 We have seen in a supportive study that
6 we've included in our NDA that netarsudil appears
7 to be equally effective at night as it is during
8 the day. So we do believe mechanism matters, and
9 it matters in terms of the clinical efficacy of the
10 drug.

11 I'd like to turn now to another way in which
12 our drug differs from some of the drugs that are
13 currently being used, and that is the impact of
14 baseline IOP on the IOP-lowering effect of the
15 drug.

16 This is a summary of the Baltimore Eye
17 Survey that Dr. Lewis was referring to, just shown
18 as a pie chart. As a reminder, in that survey, it
19 was found that the large majority of patients had
20 pressures below 25 millimeters of mercury at the
21 time of diagnosis.

22 However, if you look to see which patients

1 are typically enrolled in glaucoma registration
2 studies -- and I just give two examples
3 here -- they tend to be patients with the highest
4 pressures. In these two examples, patients were
5 only allowed into the study if they had pressures
6 of at least 24 millimeters of mercury and up to
7 36 millimeters of mercury, representing about
8 20 percent of the open-angle glaucoma population.

9 There's a reason for focusing on the higher
10 baseline patients in these studies, and that is
11 that the current medications achieve larger IOP
12 reductions at higher baseline IOPs. So it's easier
13 to measure the IOP reductions of these drugs in
14 higher baseline patients.

15 This has been shown in a number of different
16 ways. This is just an example of one paper that
17 provided historical data from three different
18 latanoprost registration studies that compared
19 latanoprost to timolol. For both drugs, as the
20 baseline IOP increased, from left to the right on
21 the X-axis here, you can see that the IOP
22 reductions became larger. In fact, with every

1 millimeter in increase in baseline IOP, each of
2 these drugs gained about a half a millimeter in
3 IOP-lowering efficacy.

4 We found netarsudil is different. It is
5 less affected by baseline IOP in terms of the
6 magnitude of IOP reduction that it achieves. We
7 saw this first in our phase 2b study, which is
8 summarized here. In this study, we enrolled the
9 typical population of higher pressures of 24 to
10 36 millimeters of mercury. The top right graph
11 shows the IOP reductions that were achieved with
12 latanoprost, the comparator in this study, and once
13 daily netarsudil in blue.

14 We also looked at a lower baseline subgroup,
15 as shown in the bottom right-hand corner, and we
16 found that while latanoprost lost almost a
17 millimeter of mercury in IOP lowering, netarsudil
18 achieved the same IOP reduction at the lower
19 baseline pressures as it did at the higher
20 pressures.

21 The results we got with latanoprost fit with
22 the historical data, but the data we got for

1 netarsudil made it clear that our drug is different
2 with respect to the influence of baseline IOP.

3 To summarize then, mechanism of action does
4 matter for a number of different reasons. One of
5 the ways we found it matters for this drug is that
6 it's different from the currently used drugs. It
7 produces a similar level of IOP lowering regardless
8 of baseline IOP, whereas current drugs tend to be
9 most effective at high IOPs but lose efficacy as
10 the baseline IOPs come down.

11 We've taken this information into our design
12 of our phase 3 studies, and coming back now to the
13 pie chart of the glaucoma population as defined in
14 the Baltimore Eye Survey, we're coming down a bit
15 in terms of the baseline IOPs in the patients that
16 we are enrolling in our studies.

17 In the CS301 and CS302 studies, we're
18 studying baseline IOPs from greater than 20 to less
19 than 27 millimeters of mercury, representing about
20 30 percent of the glaucoma population. In the
21 CS304 study, we're evaluating patients with
22 pressures greater than 20 and less than

1 30 millimeters of mercury, representing about
2 35 percent of the population.

3 The design of each study summarized on this
4 slide, in CS301, this is a 90-day safety and
5 efficacy study comparing once daily netarsudil to
6 twice-daily timolol. CS302 is a 12-month safety
7 study with a 3-month primary efficacy endpoint,
8 again, comparing once daily netarsudil to
9 twice-daily timolol.

10 In this study, we also included a
11 twice-daily dosing arm of netarsudil at the FDA's
12 request to provide information to physicians on
13 whether twice-daily dosing would be acceptable. In
14 CS304, 6-month safety study but again with a 3-
15 month primary efficacy endpoint, here again
16 comparing once daily netarsudil to twice-daily
17 timolol.

18 Each of the studies is powered to show
19 noninferiority to twice-daily timolol, and we chose
20 timolol as that has been the gold standard
21 comparator for approval of all new classes of
22 medication for glaucoma for the past 30 years.

1 Our noninferiority analysis is based on mean
2 IOP at each of 9 different time points measured
3 over 3 months. Our primary population is the per-
4 protocol population, but we do also analyze the ITT
5 population in our sensitivity analyses.

6 The difference between netarsudil and timolol
7 is evaluated using a two-sided 95 percent
8 confidence interval, and our definition of
9 noninferiority is that the upper limit of that
10 two-sided 95 percent confidence interval has to be
11 within 1.5 millimeters at each of those 9 time
12 points over 3 months, and it has to be within
13 1 millimeter of mercury at the majority of those
14 time points, so a fairly stringent definition of
15 noninferiority.

16 Our inclusion and exclusion criteria were
17 very standard for glaucoma studies, primarily
18 enrolling adult patients with either open-angle
19 glaucoma or ocular hypertension.

20 In summary, our phase 3 studies are
21 noninferiority studies compared to timolol but
22 evaluating what is a more common range of baseline

1 IOPs in glaucoma. We think this represents an
2 advantage as it enables us to enroll patients that
3 have typically been excluded from prior
4 registration studies.

5 Now I'd like to turn to the phase 3 efficacy
6 results. Again, there are three phase 3 studies in
7 which we measured efficacy, CS301, 302, and 304.
8 The results, again, were that in all three studies,
9 we demonstrated noninferiority to timolol at
10 baseline pressures of less than 25 millimeters of
11 mercury. We demonstrated noninferiority to timolol
12 in one of the studies at baseline pressures up to
13 less than 30 millimeters of mercury, and efficacy
14 was stable over 12 months.

15 I'll go into the data now. In terms of
16 demographics, again, very typical for a glaucoma
17 population, slightly more females than males; mean
18 age about 65 years of age, predominantly white with
19 about 25 percent African American population.
20 Open-angle glaucoma patients were about two-thirds
21 of the population; studied ocular hypertension,
22 about one-third. And about two-thirds of patients

1 came in on prior therapy, and one-third were
2 treatment naive.

3 Disposition at month 3 in the timolol arms,
4 94 percent of patients completed 3 months of
5 dosing. For netarsudil dosed once daily, 82 to
6 85 percent of patients completed 3 months of
7 dosing. For twice-daily dosing of netarsudil,
8 60 percent completed 3 months of dosing.

9 Twice-daily dosing of netarsudil was not as
10 well tolerated as once-daily dosing, so I'll just
11 remind the committee that we are seeking approval
12 for once-daily dosing of netarsudil, and I'll be
13 focusing primarily on those efficacy data.

14 Summary of the efficacy results for each of
15 the individual studies is presented here. As I
16 mentioned, we showed noninferiority of less than 25
17 in all 3 studies. In the CS301 study, that was a
18 post hoc analysis. The primary analysis was in the
19 full population enrolled, which included baseline
20 pressures up to less than 27 millimeters of
21 mercury. In that patient population, we met 6 of
22 the 9 time points, and therefore, did not meet the

1 definition of noninferiority, which requires all 9
2 time points to be met.

3 In CS302, the primary population was those
4 patients with baseline pressures less than
5 25 millimeters of mercury. In the primary
6 analysis, we met noninferiority both in CS302 and
7 in CS304 where the population with baselines less
8 than 25 was also the primary efficacy population.

9 As you can see in CS302, we only met 7 of 9
10 time points, and therefore did not share
11 noninferiority at baselines less than 27, but in
12 CS304, we met noninferiority all the way up to
13 baseline pressures less than 30.

14 Now, the conclusion of noninferiority to
15 timolol in the primary analyses here and in the
16 post hoc analysis in CS301 was tested through
17 multiple different types of analyses of robustness
18 in both the per-protocol and ITT populations, and
19 the data were shown to be robust.

20 Graphically, the efficacy results are
21 presented here. The top graph is the CS301 study
22 showing baseline IOP on the left-hand side of the

1 graph, and then IOP reductions obtained at week 2,
2 week 6, and month 3. Moving to the right,
3 netarsudil is in blue, timolol is in gold. And you
4 can see that netarsudil IOP lowering compared very
5 favorably to timolol.

6 Similarly, in the CS302 study, where this
7 was the primary efficacy analysis, once-daily
8 dosing of netarsudil produced very similar IOP
9 reductions to twice-daily dosing of timolol.
10 Twice-daily dosing of netarsudil, shown in the dark
11 blue line, was slightly more effective than
12 once-daily dosing, but again, it was less well
13 tolerated.

14 CS304 results shown here, and again, the
15 results are very similar to CS301 and CS302 with
16 netarsudil showing noninferiority at all time
17 points.

18 I've included tables of the individual time
19 points. I won't go through this in any detail in
20 the interest of time. I'll just point out that in
21 terms of the mean difference from timolol, the
22 differences were very small. In this study, the

1 CS301 study, from negative 0.92 in favor of
2 netarsudil to plus 0.31 in favor of timolol.

3 In the CS302 study, negative 0.21 in favor
4 of netarsudil to plus 0.77 in favor of timolol, and
5 the CS304 study, negative 0.6 millimeters of
6 mercury to 0.56 millimeters of mercury in favor of
7 timolol.

8 The CS302 study was a 12-month safety study,
9 and in the safety portion of that study, we
10 measured IOP at 8:00 a.m. at month 6, 9, and 12.

11 In this graph, we've added those 8:00 a.m. time
12 points, and you can see with the blue markers that
13 the efficacy is maintained throughout the full
14 12 months of this study.

15 Now I'd like to address what we saw at
16 higher baseline IOPs. Here, we tend to look
17 primarily at the pooled efficacy analysis from all
18 three studies. The patients with pressures above
19 25 represented only about one-third of the patients
20 who were enrolled in each of these studies, so
21 pooling that data allows for a more robust
22 analysis.

1 Again, this is just a reminder of how the
2 individual studies met or did not meet
3 noninferiority to timolol. When we pooled the data
4 from all three studies, we meet the criteria for
5 noninferiority from baseline pressures of less than
6 30 through the lowest baselines we could measure,
7 less than 22 millimeters of mercury.

8 In this slide, I present a scatter plot of
9 the distribution of IOP reductions comparing
10 netarsudil versus timolol. You can see each of
11 these dots represents an individual patient and the
12 IOP reduction that they achieved on day 90. This
13 is again the pooled population, including pressures
14 all the way up to less than 30.

15 You see that the distribution of IOP
16 reductions is quite similar between the two drugs
17 with the median IOP reduction being 4.2 millimeters
18 for netarsudil, negative 4.7 millimeters for
19 timolol, the mean reductions being minus 3.9
20 compared to minus 4.7 for timolol. But both drugs
21 achieving similar maximal IOP reductions up to
22 12 millimeters of mercury.

1 If we use the same scatter plot analysis
2 looking at individual patient responses, but this
3 time separating the patients who came in with
4 pressures less than 25 from those who came in with
5 pressures above 25, you can see that for
6 netarsudil, the distribution of IOP reductions is
7 very similar between the lower baseline patients
8 and the upper baseline patients. This is
9 consistent with what we saw in our phase 2b study.

10 For timolol, the outcome is different. At
11 the higher baseline patients, you see on average a
12 larger IOP reduction. Again, this is consistent
13 with what has been reported historically for
14 timolol. So while timolol is, on average, in this
15 higher baseline population more effective than
16 netarsudil, netarsudil is still effective at
17 achieving clinically significant IOP reductions.

18 To summarize, in three different phase 3
19 studies, we've shown that netarsudil is effective
20 at lowering IOP. It's met noninferiority to
21 timolol at baseline pressures less than
22 25 millimeters of mercury in all three studies,

1 baseline pressures less than 30 millimeters of
2 mercury in the CS304 study.

3 I'll just point out that amongst the non-
4 prostaglandin class of IOP-lowering drugs,
5 netarsudil is the first to show noninferiority to
6 timolol.

7 Efficacy is stable over 12 months, and in
8 our supportive studies, we've shown IOP lowering up
9 to baseline pressures of less than 36, equal IOP
10 lowering during the nighttime hours as well as
11 during the day, and that netarsudil can be combined
12 with prostaglandins to provide additional IOP
13 lowering in patients with glaucoma.

14 Now I'll turn it over to Dr. Heah to present
15 the safety data.

16 **Applicant Presentation - Theresa Heah**

17 DR. HEAH: Thank you, Casey.

18 Good morning, everyone. My name is Theresa
19 Heah. I'm the vice president of clinical research
20 and medical affairs at Aerie Pharmaceuticals.

21 Today I'd like to provide a safety overview
22 of netarsudil 0.02 percent. Over a thousand

1 clinical patients have been evaluated in 10 phase 3
2 and up from phase 1 to phase 3 studies. The
3 timeline here shows, for our clinical development
4 program, a light focus on the blue bars, which are
5 the phase 3 studies CS301, CS302, CS304, CS303 and
6 in addition, an observational study, OBS01.

7 In my presentation, I will be speaking about
8 the safety profile in terms of systemic where
9 netarsudil has demonstrated minimal treatment-
10 related systemic events and the ocular safety
11 profile of netarsudil where the ocular safety
12 events were generally mild and well tolerated.

13 Total exposure in four phase 3 studies, a
14 total of 1,128 subjects have received netarsudil
15 0.02 percent. The table here lists all the
16 subjects who were exposed. I'd like to point you
17 in the direction to the highlighted area.

18 The long-term safety data were evaluated in
19 a 12-month study, which is CS302 and CS303, so a
20 total of 574 subjects received netarsudil 0.02
21 percent. A very comprehensive safety evaluation
22 has been conducted in each individual study, and in

1 addition, we pooled and integrated all-safety
2 analyses from all studies. This shows a list of
3 safety parameters fairly standard in all
4 ophthalmology safety trials, and we evaluated this
5 from systemic events and from ocular safety events
6 as well.

7 Let's look at the overall summary of the
8 treatment-emergent events. These events were
9 reported as TEAEs, or treatment-emergent adverse
10 events, for any change in the subject's ocular
11 and/or systemic health. Any change in safety
12 parameters such as visual acuity, visual field,
13 ophthalmoscopy were reported as TEAEs based upon
14 assessment by investigators.

15 The table here in this slide is a detailed
16 table that shows the number of subjects who have at
17 least one or more treatment-emergent adverse
18 events. The second column points to netarsudil
19 acuity and netarsudil BID, and the last column
20 timolol BID. We have 83.3 percent of subjects with
21 at least one or more TEAE, 20.3 percent in
22 netarsudil BID, and 60.3 percent in timolol BID.

1 The majority of these TEAEs in netarsudil QD
2 and timolol BID are graded as mild. Number of
3 subjects with at least one or more serious adverse
4 events was approximately 3 percent across all
5 treatment groups.

6 Let's focus now on the systemic safety
7 profile. Adverse events that were reported as
8 non-ocular TEAE for any change in the subject's
9 systemic health, the table here shows the number of
10 subjects with at least one or more systemic adverse
11 events, approximately 26 percent across all
12 treatment groups from netarsudil QD, netarsudil
13 BID, and timolol.

14 I would like to just point out to the panel
15 here today that in our study protocol, we exclude
16 subjects who have any contraindications or
17 hypersensitivity to beta blockers.

18 In terms of the most frequently reported
19 systemic AE, 2 percent or more of subjects are
20 listed in this table. It shows upper respiratory
21 tract infection, similar between all groups;
22 headaches; and dermatitis allergy. These are the

1 most frequently reported systemic AEs.

2 In terms of treatment-related systemic
3 adverse events, SAEs in particular, one subject was
4 reported in netarsudil QD, and the SAE event was
5 exacerbation of coronary artery disease. This
6 subject is a 69-year-old Caucasian female with a
7 long history of type 2 diabetes mellitus along with
8 other cardiovascular diseases. This subject as
9 well has a long history and longstanding
10 concomitant medication such as metformin and
11 statins and other cardiovascular medications.

12 This was reported by the investigator as
13 possibly treatment related, however, our sponsor
14 medical monitor assessed this event as
15 non-treatment related due to the long history of
16 cardiovascular and type 2 diabetes mellitus.

17 SAEs leading to death, there were three
18 being reported in the netarsudil QD group,
19 2 subjects, the cause of death due to myocardial
20 infarction; one subject, the cause of death due to
21 cardiac arrest. All subjects had relevant medical
22 history of cardiovascular diseases and longstanding

1 concomitant medications.

2 The study investigator has deemed these
3 three cases as not treatment related. This was
4 also confirmed by the patients' primary physicians
5 and cardiologists. So the SAEs leading to death
6 shown here were non-treatment related.

7 In our phase 3 studies as well, we collected
8 relevant clinical laboratory testing, so chemistry,
9 hematology, CBC. We also collected mean blood
10 pressure and mean heart rate. There was no
11 clinically relevant differences for the clinical
12 labs and mean blood pressure except for the mean
13 heart rate.

14 What we saw in the mean heart rate in the
15 netarsudil group did not demonstrate significant
16 reductions in mean heart rate. However, timolol
17 reduced mean heart rate by approximately 2 to
18 3 beats per minute, and despite all measures as
19 mentioned earlier, the contraindications, we
20 excluded all patients who had any possible negative
21 sensitivity or contraindication to beta blockers.

22 Just to summarize on the netarsudil systemic

1 safety profile, what I've shown you so far, minimal
2 treatment-related systemic events, and the three
3 SAEs leading to death were non-treatment related.

4 Let's now focus on the ocular safety
5 profile. The number of subjects with at least one
6 or more ocular TEAE: 79.3 percent in the
7 netarsudil QD, 89.3 percent netarsudil BID, and
8 49.3 percent timolol; discontinuation of study drug
9 due to TEAEs, 22.1 percent in netarsudil QD, 57.8
10 percent in netarsudil BID, and 4.1 percent in
11 timolol BID.

12 Due to the higher discontinuation rate and
13 the adverse events, hence, is the reason why we're
14 seeking the regulatory and committee approval for
15 netarsudil QD. For the rest of my presentation,
16 I'll be focusing on netarsudil QD. Netarsudil BID
17 information is provided in the briefing packages.

18 Treatment-related ocular serious adverse
19 events, we have one being reported in netarsudil
20 BID. The event was iridocyclitis in the left eye
21 of the patient despite the patient being treated
22 with netarsudil twice a day in both eyes. This was

1 deemed as related by the study investigator.,
2 however, deemed as not related by the study medical
3 monitor.

4 In our original NDA in February of 2017, we
5 submitted two completed phase 3 studies, CS301 and
6 CS302. Here the table shows 5 percent or more
7 pooled safety analysis of these two studies. It's
8 a very detailed table, so I would like to point you
9 in the direction of the three most common ocular
10 adverse events for netarsudil, which is
11 conjunctival hyperemia at 57.3 percent, cornea
12 verticillata at 16.7 percent, conjunctival
13 hemorrhage at 17.8 percent.

14 In this table here, I would like to show you
15 a very comprehensive evaluation in four phase 3
16 studies. In our day 120 safety update in June of
17 this year, we had two additional phase 3 studies
18 completed, which is CS304 and CS303.

19 What I'd like to show here is a table of
20 ocular adverse events at 5 percent or more. Again,
21 the three most common ocular adverse events I
22 showed earlier are very consistent, very similar in

1 the rates or the incidence.

2 Looking at those most common ocular adverse
3 events that I reported earlier, looking at those
4 that discontinued, conjunctival hyperemia was
5 6 percent, cornea verticillata, 3.7 percent, and
6 conjunctival hemorrhage, 1 percent. And I'd just
7 like to point out to the panel here today that the
8 discontinuation due to ocular adverse events, the
9 investigator could report one or more reasons for
10 discontinuation, so if you look at the numbers, it
11 may not add up.

12 Conjunctival hyperemia, incidence was
13 54.4 percent. We looked at the mean hyperemia
14 score at 8:00 a.m. of study visit. Figure shows
15 the line graph from baseline at each study visit up
16 to month 12. The blue line is netarsudil QD. The
17 orange line is timolol.

18 We grade this from zero to 3, so from none,
19 mild, moderate, severe. As you can see, both lines
20 are below 1, so both of them are within mild.
21 Conjunctival hyperemia, the severity did not
22 increase with continued dosing.

1 We had the opportunity to look at a
2 biomicroscopy grading, which is an objective
3 assessment. The bar charts here show mild in blue,
4 orange being moderate, and dark orange being
5 severe. As you can see here, again, the grading
6 was zero to 3, zero being none, 1 being mild, 2
7 being moderate, and 3 being severe, so again from
8 baseline all the way to month 12.

9 In the baseline, 20 percent of the patients
10 do come in with mild hyperemia, and I think this is
11 very common in clinical or ophthalmology practices.
12 During the point of dosing study drug, the majority
13 of them are graded as mild; approximately
14 10 percent were moderate, and 2 percent were
15 severe. Netarsudil once daily hyperemia severity,
16 using the biomicroscopy grading, did not increase
17 over time.

18 What about patient awareness? Despite the
19 higher incidence of conjunctival hyperemia, we saw
20 that approximately 9.9 percent of subjects reported
21 conjunctival hyperemia, and this is in the
22 highlighted row.

1 We had the opportunity to ask subjects,
2 looking at the verbatim term of the adverse event,
3 if this was subject reported. And looking at
4 approximately 9.9 percent, this shows that the
5 awareness of conjunctival hyperemia by study
6 subjects was low.

7 Next, conjunctival hemorrhage, this was seen
8 in 17.2 percent in netarsudil QD group; 1 percent
9 discontinued as the reason for conjunctival
10 hemorrhage. The majority of these patients,
11 92.4 percent, were graded as mild; 6.3 percent,
12 moderate; and 1.4 percent, severe.

13 The images here show the conjunctival
14 hemorrhages. They are coded or MedDRA coded to our
15 studies. The far left are conjunctival hemorrhages
16 that will be graded as moderate. The far right
17 would be graded as mild. These conjunctival
18 hemorrhages, the vast majority of these are small.
19 They're transient. They're self-resolving without
20 medical intervention and also with continued
21 dosing.

22 Cornea verticillata, this was first reported

1 in our phase 3 studies. What is cornea
2 verticillata? It basically refers to whorled
3 keratopathy that we are very familiar with in
4 ophthalmology practices that are due to amiodarone.
5 So these are whorl-like pattern of cornea deposits
6 typically at the basal layer of corneal epithelium.

7 Subjects are asymptomatic. There will be no
8 complaints of halos or glare. The onset was
9 typically 6 weeks for the netarsudil QD. So the
10 images here show a biomicroscopy examination. The
11 far left, netarsudil QD, dusting of corneal
12 deposit, this would be graded as 1, and the far
13 right cornea verticillata would be graded as 2.
14 This is from the netarsudil subjects.

15 Upon recognizing the reporting from
16 investigators in our phase 3 trials where we seek
17 to understand the course of cornea verticillata,
18 there's been a variety of drugs, cationic and
19 amphiphilic drugs that causes the verticillata;
20 antiarrhythmic, amiodarone that's been approved
21 since 1984, is one of the main ones that we see in
22 clinical practices, among others that are listed

1 here.

2 We conducted a very standard in vitro
3 fluorescein-based assay to further understand
4 cornea verticillata that was induced by netarsudil.
5 This is based on Chinese hamster ovary cells. The
6 images show the results of this. The far left is
7 the control group, middle panel being amiodarone-
8 treated group, and far right, the netarsudil-
9 treated group.

10 What it shows is the focal accumulation of
11 phospholipids in lysosomes, and the cause of
12 netarsudil-induced cornea verticillata is due to
13 phospholipidosis.

14 With the information that we had, we
15 discussed with the FDA panel what are the other
16 things that we need to do to further understand
17 cornea verticillata. One of the questions was did
18 cornea verticillata impact visual function.

19 Upon the advice, we proactively conducted a
20 long-term observational study, which is OBS01.
21 These are patients who have completed our CS301 and
22 302 study. We followed them up in the

1 observational period, or the extension period,
2 without any further study-drug dosing. Forty-seven
3 subjects were enrolled in the study of which 45
4 completed in this observational study.

5 In this study, what we did was we continued
6 to collect visual acuity information. We collected
7 contrast sensitivity information using Pelli-Robson
8 charts. In addition, we collected visual function
9 14 questionnaire.

10 The conclusion or summary of this study
11 shows that there are no clinically meaningful
12 differences or changes in the visual function. All
13 subjects have resolved in terms of cornea
14 verticillata upon discontinuation of drug. Two
15 subjects to date have improved to grade 1, so they
16 stabilized since the beginning of this year. So
17 cornea verticillata in our follow-up observational
18 study did not impact visual function.

19 Just to summarize the three most common
20 netarsudil ocular treatment-emergent adverse
21 events, one, conjunctival hyperemia, 54.4 percent,
22 the sporadic severity did not increase with

1 continued dosing. Cornea verticillata,
2 20.9 percent, and patients are asymptomatic and
3 from the results of our observational study did not
4 impact visual function. Conjunctival hemorrhage,
5 17.2 percent, vast majority mild in severity,
6 transient, and self-resolving without medical
7 intervention.

8 Other safety parameters, corneal endothelial
9 cell count, these were conducted using corneal
10 specular microscope at baseline and also at
11 month 3. This was conducted in our CS302 study.
12 The table below in this slide shows the parameters
13 that we collected, which is endothelial cell
14 density, coefficient variation, and hexagonality.

15 The results here were read by a centralized
16 reading center and confirmed by them that there's
17 no cell loss in the netarsudil-treated group.
18 Also, the changes from baseline were small and not
19 clinically relevant between treatment groups.

20 As ophthalmologists, we always look at
21 vision as an important safety parameter. We looked
22 into more detailed vision blurred events; 7.4

1 percent was reported in the netarsudil QD group.
2 We tried to understand why the subjects were
3 reporting these at every visit, so a very detailed
4 table here shows the consecutive visits that
5 they're being reported.

6 If you look at row number 2 or two
7 consecutive visits, 27.4 percent of those that
8 reported treatment-emergent vision blurred reported
9 it at two consecutive visits. But as you can see,
10 not all patients reported at every single visit.
11 Vision blurred events reported by the subjects were
12 intermittent.

13 What's the cause of the vision blurred? So
14 we look at all the concurrent ocular surface
15 adverse event reporting as well. There's a very
16 detailed list here, again numerically very small.
17 Vision blurred reported in the netarsudil group did
18 not demonstrate a direct association with ocular
19 surface adverse events.

20 Vision acuity reduced, 5.2 percent being
21 reported. Again, we look at the number of
22 consecutive visits being reported. This objective

1 assessment of visual acuity reduced events were
2 transient or sporadic.

3 In terms of direct association with ocular
4 surface adverse events, again, here we look at a
5 very detailed ocular surface adverse event terms.
6 There is no direct association with visual acuity
7 reduced and ocular surface adverse events.

8 Other safety parameters were visual fields
9 and cup-to-disc ratio. Here, there is no
10 clinically relevant differences between groups, and
11 we don't expect to see progression of glaucoma
12 disease in these studies.

13 Ophthalmoscopy, we examined the back of the
14 eye, and we look at all the adverse events being
15 reported. Again here, no clinically relevant
16 differences in the ophthalmoscopy safety
17 assessments in netarsudil and timolol treatment
18 groups.

19 Ocular comfort tests, ocular comfort was
20 assessed at the 8:00 a.m. study visit by querying
21 the subjects, "Did you experience any discomfort
22 upon instillation of eye drops?" Subject responses

1 are recorded using a standardized scale: none,
2 mild, moderate, severe.

3 As you can see, more than 90 percent of
4 patients both in the netarsudil QD group and
5 timolol BID group reported no ocular discomfort or
6 mild discomfort, and the adverse events of
7 instillation site pain with instillation site
8 discomfort are fairly similar between netarsudil QD
9 and timolol BID group.

10 Netarsudil 0.02 percent once daily, we've
11 had exposure of more than a thousand patients now
12 used by approximately 200 ophthalmologists and
13 optometrists. In fact, today with our other
14 programs, we have more than 2,000 patients;
15 systemic profile, minimal drug-related systemic
16 events. Ocular safety events were the majority
17 conjunctival hyperemia, cornea verticillata, and
18 conjunctival hemorrhages. However, these are
19 generally mild, sporadic, and severity did not
20 increase with continued dosing.

21 I'd like to summarize that netarsudil
22 0.02 percent once daily has demonstrated a

1 favorable safety profile with no serious life-
2 threatening treatment-related systemic events. The
3 discontinuation rate is very similar to what we see
4 historically in the new class registrational
5 trials. Timolol is known to have a very good
6 ocular safety profile, however, less so in the
7 systemic events.

8 You've heard earlier that Dr. Kopczynski had
9 spoken about the effectiveness of IOP lowering with
10 netarsudil, and with that, it will be a pleasure to
11 bring up Dr. Janet Serle, who will discuss benefit-
12 risk of netarsudil.

13 **Applicant Presentation - Janet Serle**

14 DR. SERLE: Good morning. I'm professor of
15 ophthalmology and glaucoma fellowships director at
16 the Icahn School of Medicine at Mount Sinai. I
17 serve on several advisory boards, including Aerie.
18 I received travel funds, honoraria, and research
19 funds from several companies, including Aerie. I
20 am an Aerie shareholder. I have been in clinical
21 practice for over 30 years. My practice is
22 dedicated exclusively to treating patients with

1 glaucoma.

2 The two questions I'm most commonly asked by
3 my patients are will I go blind from glaucoma, and
4 when will there be new treatments for my disease?

5 I tell patients we'll work together to prevent loss
6 of vision, but most importantly, they must show up
7 for their visits and take their medications. I
8 individualize care for each patient because
9 response rates and side effects vary both initially
10 and with chronic dosing.

11 Dr. Kopczynski has nicely demonstrated the
12 efficacy of this new drug netarsudil. We have seen
13 statistically significant and clinically
14 significant intraocular pressure lowering at all
15 baseline levels up to 36 millimeters of mercury.

16 This drug administered once daily is
17 noninferior to timolol which was administered twice
18 daily and is the only non-prostaglandin agonist to
19 meet the noninferiority criteria compared to
20 timolol; thus, similar efficacy to timolol without
21 the known systemic side effects. Intraocular
22 pressure reductions were stable, which we need for

1 chronic dosing.

2 This scatter plot, which you saw earlier, I
3 found very powerful. It reminds us patients are
4 not means, medians, or averages. They're
5 individuals with great, wide variations in pressure
6 responses. None of the current treatments,
7 medications, lasers, or the aggressive surgical
8 procedures we perform reduce intraocular pressure
9 in all patients.

10 What this scatter plot shows us is very
11 significant pressure reductions in the majority of
12 patients treated with netarsudil up to
13 12 millimeters of mercury. I as a clinician would
14 like to have this as an option for treatment for
15 all of my patients.

16 This is a new drug class, which is very
17 exciting. It acts differently than the ones we
18 currently use. It enhances trabecular outflow. I
19 anticipate it will be additive to the other
20 classes, three of which reduce IOP primarily by
21 decreasing aqueous humor formation.

22 Additivity to prostaglandins, as mentioned

1 previously, has been shown in this fixed-dose
2 combination study. You see the fixed-dose product
3 in green, which is more efficacious than either
4 lantanoprost alone or netarsudil alone.

5 Our patients have difficulty complying with
6 frequent dosing, particularly the elderly. They're
7 forgetful. They often have caregivers,
8 professional or family members, that are only
9 available for limited hours during the day. So
10 this drug, which is administered once in the
11 evening, similarly to lantanoprost or the other
12 prostaglandins, could be administered, and then
13 five minutes later, the prostaglandin could be
14 administered. Thus, all the dosing could be done
15 once in the day.

16 Beta blockers, which are commonly used, when
17 prescribed once daily are given in the morning, and
18 this split dosing throughout the day leads to
19 reduced compliance.

20 I envision netarsudil as a single-agent
21 therapy as the efficacy is similar to timolol and
22 the dosing is once daily. It will be an excellent

1 adjunct agent as, again, the dosing is once daily,
2 less frequently than selective alpha adrenergic
3 agonists or topical CAIs, which must be dosed 2 to
4 3 times daily.

5 You've heard from Dr. Heah about the side
6 effects, and overall, it appears that the side
7 effect profile is tolerable. There are few, if
8 any, systemic-related side effects, treatment-
9 related systemic side effects. Ocular side effects
10 are mostly mild, sporadic, and reversible.

11 There were three side effects that were most
12 commonly encountered. Hyperemia, we see with all
13 of the drugs available to treat glaucoma. We've
14 accepted this, both patients and physicians, as a
15 tolerable side effect.

16 Conjunctival hemorrhages were small,
17 primarily visualized on slit-lamp magnification,
18 but they do not appear to be associated with or
19 cause any ocular pathology. Cornea verticillata
20 were observed, and as you saw from the observation
21 study, were not associated with changes in visual
22 function.

1 We as ophthalmologists are very familiar
2 with corneal verticillata as they occur in over 98
3 percent of patients on the systemic drug
4 amiodarone. This drug was approved in 1984. Thus,
5 we've seen these findings for decades. We know
6 verticillata in this patient population rarely
7 interferes with vision and are typically reversible
8 within 3 to 20 months after stopping treatment.

9 When I go to add a new medication for my
10 patients, I discuss the side effects of each
11 medication. You can see a patient of mine in the
12 photo here who we treated in one eye with
13 prostaglandin, and you can see the typical side
14 effects and the marked asymmetry, the darkening of
15 the iris, lengthening of lashes, and pink
16 discoloration of the lids.

17 When I think about a beta blocker, I often
18 will consult with the patient's primary care
19 physician as this class of compounds is associated
20 with many systemic side effects, several of which
21 listed here can reduce quality of life.
22 Additionally, they should not be used in patients

1 with pulmonary disease.

2 With alpha adrenergic agonists, we discuss
3 with our patients dry mouth, headache, and fatigue,
4 and topical carbonic anhydrase inhibitors, we know
5 cause variability in taste, stinging, and blurred
6 vision.

7 If I have and when I have the opportunity to
8 discuss netarsudil with my patients, I will let
9 them know it is an effective medication to reduce
10 intraocular pressure. It's administered once daily
11 in the evening, associated with minimal, if any,
12 systemic side effects. Your eyes may get red, but
13 you're used to that from your other eye drops. I
14 may observe on slit-lamp magnification cornea
15 verticillata and/or small hemorrhages. Neither of
16 these affect your vision nor the health of the eye.

17 How will I and my colleagues use this new
18 drug when available? Certainly, as a monotherapy,
19 single agent, particularly in patients who would
20 prefer to avoid the side effects you've seen with
21 the prostaglandins. And there are patients who
22 can't tolerate prostaglandins or are not well

1 responsive in terms of IOP effect to
2 prostaglandins.

3 There are many patients in whom we cannot
4 prescribe beta blockers and many patients in whom
5 the 2 to 3 times daily dosing of alpha adrenergic
6 agonists and topical carbonic anhydrase inhibitors
7 is not realistic for their daily schedule.

8 We've seen that netarsudil is additive to
9 prostaglandin, so it will be an excellent adjunct
10 agent. Again, the difference in mechanism suggests
11 it will be an excellent addition to our other
12 agents that we use to treatment glaucoma. The
13 once-daily dosing is a great benefit for our
14 patients.

15 As Dr. Lewis described, after glaucoma
16 surgery, 50 percent of our patients are back on eye
17 drops. It will be nice to have this as an option.

18 Many of my patients and my colleagues'
19 patients are elderly. They're in their mid to late
20 80s and 90s. They're losing vision from glaucoma
21 because we don't have treatments to adequately
22 control their eye pressures. They often prefer not

1 to, we prefer not to, and their primary care
2 physician prefers not to perform surgery. They may
3 have other medical comorbidities.

4 They may be on anticoagulants, and it may be
5 very difficult for them to come to the office for
6 the multiple post-op visits that are required.
7 This drug may allow these patients to maintain
8 their vision throughout their lifetime and avoid
9 surgery.

10 When the patients ask when will I have a new
11 medication, I tell them netarsudil under
12 investigation is an exciting new drug for lowering
13 IOP. We've heard about the benefits of this drug.
14 We've seen that it is efficacious, both clinically
15 and statistically. We weight the risk of vision
16 loss and blindness with the tolerable ocular side
17 effects we've seen today.

18 Netarsudil is an effective, convenient,
19 safe, and important new medication that will help
20 physicians meet the needs of their patients. Thank
21 you. I'm going to turn it over to Marv.

22 MR. GARRETT: We have presented the safety

1 and efficacy data today on our new drug netarsudil
2 ophthalmic solution 0.02 percent. As we have seen,
3 the product is both safe and effective for the
4 intended use. We respectfully look forward to any
5 discussion, answer any questions, and to the vote.
6 And we, like Dr. Chambers who mentioned earlier,
7 look forward to working collaboratively with the
8 Food and Drug Administration in bringing new
9 therapies for this area, glaucoma, to market.
10 Thank you.

11 **Clarifying Questions**

12 DR. CHODOSH: Thank you very much. We are
13 just about five minutes behind schedule, but I
14 think we'll make it up during the day.

15 This is our time -- and we may have some
16 time again later, but the question is, are there
17 questions for Aerie Pharmaceuticals from the
18 members of this panel? If you have a question,
19 please remember to state your name for the record
20 before you speak, and if you can, please direct
21 questions to a specific presenter.

22 From the panel? Dr. Hawkins?

1 DR. HAWKINS: Randy Hawkins, a substantial
2 number of African American patients in my
3 population. I was pleased to see an enrollment of
4 that population in the study. Do we have any
5 information about efficacy breakout for
6 demographics groups where this drug as prescribed?

7 DR. KOPCZYNSKI: Yes. We have looked
8 separately at the response in African Americans
9 versus Caucasian patients. I can bring up a slide
10 for that now.

11 E-178, slide up. This compares the non-
12 Caucasian versus Caucasian patients. The vast
13 majority of non-Caucasian were African American
14 patients. You can see there's similar IOP-lowering
15 efficacy, slightly different in favor of IOP
16 reductions in the Caucasian group, but not what we
17 would consider to be a clinically significant
18 difference between the two.

19 DR. CHODOSH: Geoff, go ahead.

20 DR. EMERSON: Geoff Emerson. My question is
21 why is there boric acid in the solution, and why is
22 the pH buffered to 5?

1 DR. KOPCYNZSKI: In order for the active
2 ingredient to remain in solution, the pH needs to
3 be on the lower end. Boric acid is used as the
4 buffer, and it is a very weak buffer. So even
5 though at pH 5 -- you might recall in the
6 tolerability study relative to timolol, they were
7 similarly well tolerated, that is a low proportion
8 of patients reported any stinging. That's because
9 the buffering is very weak and the tear film has
10 natural buffering capability.

11 DR. CHODOSH: I had a question. James
12 Chodosh. Can you address the use of this drug or
13 your study results in children? If I recall
14 correctly, but didn't hear about today, the intent
15 was to enroll patients under 2 years old and older
16 than 18. What about the less than 2 years old, and
17 what about those just under 18?

18 DR. KOPCZYNSKI: We were not successful in
19 enrolling patients in the zero to 2 range.

20 Dr. Heah, would you like to talk about that?

21 DR. HEAH: Theresa Heah, vice president,
22 clinical research and medical affairs. Yes, we

1 enrolled 2 subjects at 14 years old and 11 years
2 old in the trial. Slide up, please, S-349.

3 These are the two subjects that were
4 enrolled in the trial. We made every effort to
5 enroll those that were zero to 2 upon the amendment
6 of protocol, however, we didn't enroll any
7 patients, and also because their disease is
8 slightly different with their earlier age. But
9 these two patients that were enrolled, they had no
10 ocular adverse events. The IOP lowering was good.

11 DR. CHODOSH: A follow-up question, if I
12 might. Do you have any specific safety concerns to
13 the development of life, a young person, pregnancy?
14 Can you address those issues?

15 DR. HEAH: We did not collect any
16 information. We didn't allow patients who are
17 pregnant into the study. We ensured, as part of
18 our inclusion-exclusion criteria of our trial, that
19 both patients, clinical subjects, and their
20 partners utilized acceptable contraception.

21 DR. CHODOSH: I understand that, but I also
22 understand that patients in practices, some of whom

1 will be pregnant and some of whom will not know
2 that. So I'm wondering how you would address that.

3 DR. KOPCZYNSKI: I can address that. We did
4 conduct reproductive toxicology studies in our
5 preclinical toxicology workup, and We established
6 systemic levels of the drug that could potentially
7 impact the health of the fetus.

8 There was a very large concentration of
9 drugs systemically required to cause any measurable
10 change in the status of the pregnancy in the
11 animals that were tested, and that was both rabbits
12 and rats. Our systemic exposure after topical
13 ocular administration in humans was typically less
14 than 0.1 nanograms per mL. So the safety margin
15 there is at least 300-fold in terms of any
16 potential toxicities.

17 DR. KWON: Young Kwon. Just a question
18 about the systemic side effects. I noted that
19 there were 3 deaths that occurred in the netarsudil
20 group as opposed to zero deaths in the timolol
21 group. As I recall, in your phase 2 study, there
22 was another death that was noted, so a total of 4

1 deaths in the netarsudil group.

2 While I agree that it's a very small number
3 and it's unlikely, and there's no mechanism that I
4 can think of that would lead to death, do you have
5 any concerns about these deaths that have occurred?
6 Most of them I think are cardiac related, and has
7 that been statistically validated that there is
8 statistically no difference between the two groups?

9 DR. KOPCZYNSKI: We do not have concerns
10 about systemic effects of the drug. Again, the
11 levels that we measured were at least 300-fold
12 lower than what we've seen to be the EC50 for the
13 ability of this drug to have any impact on cell
14 shape or actin cytoskeleton, which is how it causes
15 changes in smooth muscle cell, for example.

16 So there's simply too little drug to go
17 systemically relative to the data we have for the
18 concentrations of drug required to cause changes in
19 these cellular functions that the drug targets.

20 Regarding statistics, I'd like to ask
21 Dr. Usner to address that.

22 DR. USNER: Dale Usner, paid statistical

1 consultant to Aerie. The results are not
2 statistically significantly different.

3 DR. CHODOSH: Sidney, go ahead, please.

4 DR. GICHERU: Sidney Gicheru. In using
5 prostaglandin analogues in private practice,
6 hyperemia can be a problem early on and can
7 sometimes affect compliance. I had two questions.

8 One, did we look at the incidence of
9 conjunctival hyperemia compared to prostaglandin
10 analogs, and two, how does the hyperemia -- does it
11 get worse, better, or is it pretty stable with
12 time?

13 DR. KOPCZYNSKI: Yes. I can answer that the
14 hyperemia appears to be quite stable over time.
15 You might remember a line graph that Dr. Heah
16 showed that the mean hyperemia score was less than
17 1 and quite stable over 12 months.

18 Regarding the other part of the question,
19 maybe Dr. Heah could come up and address that?

20 DR. HEAH: Theresa Heah. So in respect to
21 other -- slide up, please. It's very well
22 known -- and I'd also like to bring up Dr. Cindy

1 Mattox to talk from a clinician perspective. But
2 it's very well known that several prostaglandins
3 such as bimatoprost and travoprost have a rate of
4 15 to 45 percent or 35 to 50 percent. So our
5 incidence is within the same range.

6 Dr. Mattox, please.

7 DR. MATTOX: Cynthia Mattox. I'm an
8 associate professor of ophthalmology, a glaucoma
9 specialist, Tufts University, and the current
10 president of the American Glaucoma Society. I do
11 receive consulting fees and travel fees from Aerie,
12 also consulting fees from other industry.

13 You're absolutely right. Hyperemia is
14 something we see all the time treating glaucoma.
15 It's very common for us to have to interact with
16 our patients about tolerability. Certainly, we're
17 always looking for efficacy, lowering intraocular
18 pressure, and it's a balance. Does the intraocular
19 pressure lower satisfactorily in order to keep the
20 patient on the medication, and are they accepting
21 of the consequences of the hyperemia?

22 The sponsor did show us that the awareness

1 or the discontinuation rates were very low, even
2 though there was hyperemia reported by the
3 investigators. And I feel satisfied with that,
4 that it's very similar to what we see with
5 prostaglandins.

6 DR. CHODOSH: Peter has the next question.

7 DR. ZLOTY: Peter Zloty. Question about
8 outflow facility. I see a chart on 28 where you
9 said that the outflow facility is improved with the
10 use of this medication and actually worsened with
11 placebo. I was just wondering how was that
12 measured and if you have any data on that.

13 DR. KOPCZYNSKI: Slide up, please. The
14 outflow facility was measured using tonometry, and
15 the study was -- I'm sorry. Could you ask your
16 question again to make sure I answer what it is
17 you're referring to?

18 DR. ZLOTY: How did you know that the
19 outflow facility improved? Did you do tonography,
20 tonometry? What was your method, and where is your
21 data?

22 DR. KOPCZYNSKI: Yes. It was tonography,

1 and the outflow facility was followed over time and
2 measured in that respect. Maybe Dr. Lewis can talk
3 to the methodology.

4 DR. LEWIS: Rick Lewis, chief medical
5 officer for Aerie. The study that was shown here
6 was done by tonography and reproduced by Arthur Sit
7 at the Mayo Clinic, if you look at the reference
8 here, the number 2 reference, that he presented at
9 the American Glaucoma Society meeting in March of
10 this year. He looked at both outflow facility as
11 well as episcleral venous outflow and demonstrated
12 outflow production.

13 DR. CHODOSH: Geoff?

14 DR. EMERSON: Geoff Emerson. I'm curious on
15 the study 304, what was the discontinuation rate
16 for the control versus the treated group? I was
17 noting that in the 301, 15 percent of the treated
18 group discontinued versus 6 percent of controls,
19 and then in 302, it was 18 percent versus
20 6 percent. And I'm wondering if it was similar for
21 304.

22 DR. KOPCZYNSKI: Dr. Heah?

1 DR. HEAH: Theresa Heah. The
2 discontinuation of CS301 at 3 months was
3 approximately 15 percent, and it was similar as
4 well in CS304 at 3 months. So that was our primary
5 efficacy analysis that occurred at month 3. So at
6 3 months, it was similar at 15 percent.

7 DR. CHODOSH: Marla, go ahead.

8 DR. SULTAN: Marla Sultan, industry
9 representative. Just a question. I see that
10 you've asked that one question to the patient about
11 the experience in terms of comfort. I was just
12 wondering if there are any other patient-reported
13 outcomes or questionnaires included within any of
14 the studies. I didn't see anything mentioned.

15 DR. KOPCZYNSKI: Dr. Heah?

16 DR. HEAH: Theresa Heah. The only test that
17 we did, a questionnaire, was ocular comfort test.

18 DR. CHODOSH: I'm going to take the
19 prerogative of asking the last question before the
20 break, and then we probably will have time later to
21 ask more questions because we need to move on. But
22 my question was for Dr. Lewis, and that was, do you

1 think that this drug will have particular benefit
2 to normal-tension glaucoma?

3 I'm not a glaucoma specialist, as most of
4 you know, but it occurred to me that there are
5 patients in whom we'd like to lower the pressure
6 below normal, but we know that the existing drugs
7 don't do that very well.

8 Can you comment on that, please?

9 DR. LEWIS: Frankly, I think you've hit upon
10 an important part of the studies here is that the
11 ability of netarsudil to help lower pressure in
12 lower pressure patients is very exciting and a
13 great opportunity because that is the big
14 challenge. Many of our drugs will get the pressure
15 down, perhaps down to 19 or 20 or 21 within a
16 single or even two agents, and trying to get them
17 much lower. A large percentage of the population,
18 as we all know, particularly certain racial groups
19 like the Japanese who have a low-tension glaucoma
20 problem, this is a very exciting opportunity.

21 Casey presented a little brief introduction
22 into the Mercury program, which is the combination

1 of lantanoprost and netarsudil, and the responder
2 rate is very, very exciting. And I think we in
3 glaucoma look forward to getting access to that.

4 DR. CHODOSH: Thank you.

5 We're going to take a break, which will end
6 at 10:15. Panel members, please remember, no
7 discussion of the meeting topic during the break
8 amongst yourselves or with anyone else. Thank you.

9 (Whereupon, at 10:05 a.m., a recess was
10 taken.)

11 DR. CHODOSH: Welcome back. We are now
12 going to proceed with the presentations by the FDA.

13 **FDA Presentation - Sonal Wadhwa**

14 DR. WADHWA: Good morning. My name is Sonal
15 Wadhwa. I'm a medical officer here at the FDA in
16 the Division of Transplant and Ophthalmology
17 Products, and I'll be giving the clinical
18 perspective for netarsudil.

19 We've already gone over we're talking about
20 netarsudil, which is a Rho-kinase inhibitor. It's
21 a topical ophthalmic solution. The proposed dosing
22 regimen is one drop in the affected eye once daily

1 in the evening, and the proposed indication is for
2 the reduction of elevated intraocular pressure in
3 patients with open-angle glaucoma or ocular
4 hypertension.

5 My talk will be focusing on four studies.
6 The first two, 301 and 302, as we know and have
7 discussed, were both double mass randomized,
8 multicenter, active controlled studies. 301 had
9 two arms, netarsudil once a day and timolol twice a
10 day. There were 411 subjects. This was a 3-month
11 study, and the baseline IOP was less than 27.

12 Study 302 had three arms, netarsudil once a
13 day, netarsudil twice a day, and timolol twice a
14 day. The study had 756 patients. It was a 12-
15 month study, and patients here also had a baseline
16 pressure of less than 27.

17 Study 304 was also a double-mass,
18 randomized, multicenter, active controlled study.
19 There are two arms, netarsudil once a day and
20 timolol twice a day. There are 708 patients. This
21 was a 6-month study, and here the baseline pressure
22 was less than 30 compared to the 27.

1 The fourth study I will be talking about
2 today was the observation safety study, OBS01.
3 This was an observational prospective study,
4 noninterventional, and there were 45 patients, and
5 there was no set duration.

6 Moving on to the subject disposition for
7 each study, I'll start with 301. You can see that
8 there was a discontinuation rate of approximately
9 15 percent in the netarsudil group compared to
10 6 percent in the timolol group. The majority of
11 the subject discontinuations were secondary to AE
12 in the netarsudil group.

13 In study 302 at 3 months, there was a
14 18 percent discontinuation rate in the QD group,
15 40 percent in the BID group, and 6 percent in the
16 timolol group. This was a longer study, as
17 mentioned, so at the 12 months, there was a
18 42 percent discontinuation rate in the netarsudil
19 QD group, and 66 percent in the BID group, and
20 19 percent in the timolol group. Again, in the
21 netarsudil group, the most common reason for
22 subject discontinuation was AE.

1 Study 304, the discontinuation rate in the
2 netarsudil group was 31 percent compared to
3 12 percent in the timolol group. Again, the most
4 common reason for subject discontinuation in the
5 netarsudil group was AE.

6 Moving on to the efficacy, in study 301, the
7 primary efficacy outcome was the mean IOP at three
8 time points, 8:00 a.m., 10:00 a.m., and 4:00 p.m.
9 at three visits, which were week 2, week 6, and
10 month 3.

11 The criteria for noninferiority was based on
12 the upper limits of the 95 percent confidence
13 interval for the treatment difference between
14 netarsudil and timolol. It had to be within
15 1.5 millimeters of mercury for all 9 time points
16 and within 1 millimeter of mercury for the majority
17 of time points.

18 The study 301 did not meet the prespecified
19 endpoint with the per-protocol or the ITT analysis.
20 I know this is a lot of information here, so just
21 to give a brief summary, this is study 301. This
22 is the per-protocol population with observed data.

1 All patients were included. This was baseline of
2 less than 27, and the areas highlighted in red show
3 where the criteria for noninferiority was not met.

4 This is the same study 301. Now we're
5 looking at a different population. This is the ITT
6 with LOCF. Again, the areas in red show where the
7 criteria for noninferiority was not met.

8 Although 301 did not meet the prespecified
9 endpoint with the per-protocol or the ITT analysis,
10 when looking at a post hoc analysis of patients
11 with pressures less than 25, it did meet its
12 endpoint in both the per-protocol and ITT
13 populations.

14 This is still study 301. Here we're looking
15 at the per-protocol population with observed data.
16 As I mentioned, this is a post hoc analysis, and
17 here we'll be looking at patients with baseline
18 pressures of less than 25 compared to the previous
19 slides where the pressures were less than 27.
20 Looking at these results, the criteria for
21 noninferiority was met.

22 Moving on to study 302, the primary efficacy

1 outcome was the mean IOP for subjects, and here it
2 was prespecified for baseline pressures less than
3 25 at the same three time points, 8:00 a.m.,
4 10:00 a.m., and 4:00 p.m., at the same three
5 visits, week 2, week 6, and month 3. Study 302 did
6 meet the prespecified endpoint with both the per-
7 protocol and ITT analysis.

8 This is now study 302. This is the
9 per-protocol population with observed data. As I
10 mentioned, they prespecified only looking at
11 patients with a baseline pressure of less than 25,
12 and here the criteria for noninferiority was met.

13 Looking at the same study, 302, this is now
14 the ITT with LOCF population. Again, we're only
15 looking at patients with baseline pressures of less
16 than 25. The criteria of noninferiority was met.

17 Now, I will hand it over to my statistical
18 colleague to continue their talk with their
19 statistical perspective and continue the discussion
20 with the results of study 304.

21 **FDA Presentation - Yunfan Deng**

22 DR. DENG: Good morning. My name is Yunfan

1 Deng. I am the statistical reviewer for the
2 application of netarsudil. I will present the
3 agency's statistical evaluation of efficacy.

4 Our efficacy evaluation focused on the three
5 phase 3 studies, studies 301, 302, and 304. The
6 three studies were similarly in their design. They
7 were multicenter, double-mask, active-controlled,
8 noninferiority studies. The main differences among
9 the studies are noted in the table.

10 Studies 301 and 304 both had two treatment
11 arms, netarsudil once daily, QD, and the active
12 comparator, timolol twice daily, BID. Study 302
13 had an additional netarsudil twice-daily arm. The
14 applicant is seeking approval for only netarsudil
15 QD, therefore, I will focus on the netarsudil QD
16 efficacy results.

17 The treatment duration was 3 months in
18 study 301, 12 months in study 302, and 6 months in
19 study 304. Regarding baseline IOP entry criteria,
20 studies 301 and 302 enrolled subjects with baseline
21 IOP lower than 27, while study 304 enrolled
22 subjects with baseline IOP lower than 30.

1 The protocol design primary efficacy
2 endpoint was mean IOP in the study eye at
3 8:00 a.m., 10:00 a.m., and 4:00 p.m. on days 15,
4 43, and 90. The mean IOP change from baseline in
5 the study eye at the 9 post-baseline time points
6 was a secondary endpoint. There were two
7 protocol-defined efficacy analysis populations,
8 intend-to-treat and per-protocol populations.

9 The ITT population included all randomized
10 subjects who received at least one dose of study
11 drug. The per-protocol population was a subset of
12 the ITT population, including subjects who had no
13 major protocol violations likely to seriously
14 affect the primary outcome of the study.

15 The protocol defined primary analysis method
16 evaluated the treatment difference using a
17 95 percent confidence interval based on 2-sample
18 t-distribution at each individual time point. In
19 study 301, the primary analysis was conducted on
20 the per-protocol population using observed data.
21 In studies 302 and 304, the primary analysis was
22 conducted on a subset of per-protocol subjects,

1 specifically the subjects with maximal baseline IOP
2 less than 25. This subset was selected based on
3 the positive post hoc results from study 301.

4 Additional analysis based on ITT were also
5 conducted. The applicant also analyzed the primary
6 endpoint using various methods of handling missing
7 data under varying assumptions.

8 The protocol designed successful criteria
9 for noninferiority were based on the upper limits
10 of the 95 percent confidence intervals. For the
11 treatment differences, the upper limits needed to
12 be within 1.5 for all 9 time points and within 1.0
13 for at least 5 out of the 9 time points.

14 This table has two parts. The first part
15 presents subject disposition, and the last three
16 rows present the percentage of per-protocol
17 subjects of the overall ITT population. For
18 subject disposition, we focused on discontinuation
19 due to adverse events since discontinuation due to
20 other reasons were comparable between the two
21 treatment groups.

22 All studies showed significantly higher

1 discontinuation rates due to AE in the netarsudil
2 group compared to the timolol group. The
3 discontinuation rates prior to month 3 due to AE
4 ranged from 10 percent to 12 percent in the
5 netarsudil group for the ITT population compared to
6 1 to 2 percent in the timolol group. Our clinical
7 reviewer will discuss these AEs in further detail
8 later.

9 The per-protocol population consisted of
10 82 percent to 90 percent of the ITT subjects for
11 the three studies. We illustrate the percentage of
12 subjects in each of the two subgroups, baseline IOP
13 less than 25 or greater than/equal to 25.

14 As mentioned previously, studies 301 and 302
15 enrolled subjects with baseline IOP less than 27,
16 whereas study 304 enrolled subjects with baseline
17 IOP less than 30. The prespecified analysis
18 population for studies 302 and 304 included only
19 subjects with baseline IOP less than 25.

20 Approximately 60 to 65 percent of subjects
21 in all studies has maximal baseline IOP less than
22 25. In study 304, among the subjects with baseline

1 IOP greater than 25, about half of them had
2 baseline IOP between 25 and 27, and the other half
3 had baseline IOP between 27 and 30.

4 A summary of the applicant's key efficacy
5 results for the three studies is presented. For
6 subjects with baseline IOP less than 25, that is,
7 the first and the middle column, the noninferiority
8 criteria were met for all analyses except for the
9 baseline observation carried forward, BOCF analysis
10 in study 302.

11 For subjects with baseline IOP less than 27,
12 only study 304 met the noninferiority criteria for
13 the three analyses. As mentioned previously, study
14 304 enrolled subjects with a broader range of
15 baseline IOP, including baseline IOP up to 30. For
16 these subjects, the noninferiority criteria was
17 only met in the per-protocol observed analysis.

18 Based on these results, it appears that
19 netarsudil may not work as well as timolol for
20 subjects with higher baseline IOP.

21 As previously mentioned, mean IOP changed
22 from baseline, and the post-baseline time points

1 were protocol defined secondary endpoints and form
2 the basis for desired label claims. We conducted
3 various analyses of this point to gain insight into
4 the IOP-lowering effect of netarsudil.

5 The analysis of covariance ANCOVA adjusted
6 for baseline IOP is a statistically preferable
7 analysis method since baseline IOP may be a
8 prognostic factor for the efficacy outcome.
9 Therefore, the results I will present are based on
10 the ANCOVA adjusted analysis.

11 Of note, the results are generally
12 consistent with the unadjusted analysis. In
13 addition, the results are presented by maximal
14 baseline IOP less than 25 and greater than/equal to
15 25.

16 The per-protocol observed analysis results
17 are presented in the next few slides since this
18 analysis was the protocol defined primary efficacy
19 analysis, and the results were generally consistent
20 with those of the ITT analysis.

21 Mean IOP change from baseline in study eye
22 by visit and time for study 301 is presented in

1 this table. The left side of the table presents
2 the results of mean IOP change from baseline for
3 the subgroup of subjects with baseline IOP less
4 than 25, and the right three columns of the table
5 present the results for subjects with baseline IOP
6 greater than 25.

7 The results for the netarsudil group from
8 day 15 to day 90 are denoted in blue, and for
9 timolol group are denoted in red. In study 301, as
10 you can see, within each subset, the two treatment
11 groups have comparable mean baseline IOP. For
12 subjects with baseline IOP less than 25, mean IOP
13 reduction from baseline ranged from 3.6 to 5.1 in
14 the netarsudil group and from 3.2 to 4.7 in the
15 timolol group.

16 The two treatment groups had similar mean
17 IOP reduction. As you can see from the table, the
18 upper bounds of the 95 percent confidence interval
19 met the noninferiority criteria.

20 For subjects with baseline IOP greater than
21 25, mean IOP reduction from baseline ranged from
22 2.2 to 4.9 in the netarsudil group and from 4.6 to

1 6.0 in the timolol group. Compared with the
2 timolol, the netarsudil group had a smaller mean
3 IOP reduction at all morning time points and on
4 days 43 and 90 as denoted in the bold red color in
5 this table. The treatment differences were most
6 noticeable at 8:00 a.m. and 10:00 a.m. on days 43
7 and 90 and as high as 3.0.

8 This is a graphical presentation of the mean
9 IOP change from baseline by visit and time for
10 study 301. The left panel presents the results for
11 subjects with baseline IOP less than 25, and the
12 right panel for subjects with baseline IOP greater
13 than 25. The blue line denotes the netarsudil
14 group, and the red line denotes the timolol group.
15 Please note that lower values on this graph
16 correspond with higher IOP reduction.

17 On the left-front panel, you can see that
18 the two treatment groups had similar mean IOP
19 reductions, and on the right panel for subjects
20 with baseline IOP greater than 25, the netarsudil
21 group had much smaller mean IOP reduction at a
22 majority of the time points. The treatment

1 differences were most noticeable at 8:00 a.m. and
2 10:00 a.m. on days 43 and 90.

3 In study 302 within each subset, the two
4 treatment groups had comparable mean baseline IOP.
5 For subjects with baseline IOP less than 25, mean
6 IOP reduction from baseline ranged from 3.4 to 4.6
7 in the netarsudil group and from 3.7 to 5.1 in the
8 timolol group.

9 The two treatment groups had similar mean
10 IOP reductions. As presented in the table, the
11 upper bounds of the 95 percent confidence interval
12 met the noninferiority criteria. However, for
13 subjects with baseline IOP greater than 25, mean
14 IOP reduction from baseline ranged from 3.4 to 4.9
15 in the netarsudil group and from 4.3 to 5.9 in the
16 timolol group.

17 Compared with timolol, the netarsudil group
18 had a smaller mean IOP reduction at all morning
19 time points and at days 43 and 90. The treatment
20 differences were also most noticeable at 8:00 a.m.
21 and 10:00 a.m. on days 43 and 90.

22 This again is the graphical presentation of

1 the mean IOP change from baseline by visit and time
2 for study 302. Similar as study 301, on the left
3 panel, you can see that the two treatment groups
4 have similar IOP reductions. On the right panel
5 for subjects with baseline IOP greater than 25, the
6 netarsudil group had a smaller mean IOP reduction
7 at all morning time points and at days 43 and 90.
8 The treatment differences were again most
9 noticeable at 8:00 a.m. and 10:00 a.m. on days 43
10 and 90.

11 In study 304, the two treatment groups again
12 had comparable mean baseline IOP within each
13 subset, and for subjects with baseline IOP less
14 than 25, mean IOP reduction from baseline ranged
15 from 3.9 to 4.7 in the netarsudil group and from
16 3.8 to 5.2 in the timolol group.

17 The two treatment groups had similar mean
18 IOP reductions, and you can see from the table the
19 upper bounds of the 95 percent confidence interval
20 met the noninferiority criteria. However, for
21 subjects with baseline IOP greater than 25, mean
22 IOP reduction from baseline ranged from 3.9 to 5.0

1 in the netarsudil group and from 4.4 to 6.2 in the
2 timolol group.

3 Consistent with the findings in studies 301
4 and 302, timolol had higher IOP reduction effect
5 compared with netarsudil at all morning time points
6 and at days 43 and 90. The treatment differences
7 were also most noticeable at 8:00 a.m. and
8 10:00 a.m. on days 43 and 90.

9 Again, the graphical presentation of the
10 mean IOP changed from baseline by visit and time
11 for study 304, on the left panel, you can see that
12 the two treatment groups had similar mean IOP
13 reductions. For subjects with baseline IOP greater
14 than 25 on the right panel, similar as observed in
15 studies 301 and 302, the netarsudil group had a
16 smaller mean IOP reduction at all morning time
17 points. The treatment differences were most
18 noticeable at 8:00 a.m. and 10:00 a.m. on days 43
19 and 90.

20 In summary, for subjects with maximal
21 baseline IOP less than 25, overall, the test drug
22 netarsudil and active comparator timolol appeared

1 to have similar mean IOP reductions on days 15, 43,
2 and 90. But for subjects with maximum baseline IOP
3 greater than 25 compared with timolol, netarsudil
4 had a smaller mean IOP reduction effect. The
5 treatment differences were most noticeable at
6 8:00 a.m. and 10:00 a.m. on days 43 and 90.

7 I will hand the podium back to Sonal, our
8 clinical reviewer, for the safety evaluation.

9 **FDA Presentation - Sonal Wadhwa**

10 DR. WADHWA: Moving on to safety now, I'm
11 going to be focusing the safety talk on the same
12 four studies I discussed earlier, 301, 302, 304,
13 and the observation study OBS01.

14 Looking at deaths, there were no deaths, as
15 we've talked about previously, in 301. In
16 study 302, there were 2 subjects in the netarsudil
17 once-a-day group secondary to myocardial
18 infarction. In study 304, there was one subject in
19 the netarsudil once-a-day group who died secondary
20 to cardiac arrest. None of these deaths were
21 thought to be treatment related.

22 Looking at the AEs, when we looked at the

1 pooled safety population from study 301 and 302,
2 conjunctival hyperemia was the most common AE,
3 57 percent. Some of the other common ocular AEs
4 were conjunctival hemorrhage, corneal verticillata,
5 and instillation site pain.

6 Looking at study 304, here again,
7 conjunctival hyperemia was the most common ocular
8 AE, 48 percent. The next most common were again,
9 corneal verticillata, instillation site pain, and
10 conjunctival hemorrhage.

11 I'm going to focus a little bit on corneal
12 verticillata. As we all know, it's a whorl-like
13 pattern of deposits in the epithelium of the
14 cornea. This is secondary to intracellular
15 phospholipid accumulation in the lysosomes. It's
16 usually bilateral, and usually patients have no
17 visual symptoms.

18 As we've discussed, amiodarone is the most
19 common cause of verticillata. Other drugs which
20 are known to cause corneal verticillata are
21 chloroquine, hydroxychloroquine, indomethacin, and
22 phenothiazines. Netarsudil is the first topical

1 ophthalmic known to cause corneal verticillata, and
2 because of the finding of the corneal verticillata
3 in these studies, there was a safety study to
4 further investigate this finding.

5 Looking first at the incidence of corneal
6 verticillata in the studies, in study 301, there
7 were 11 patients out of the 203 in the netarsudil
8 group that developed verticillata compared to zero
9 in the timolol group.

10 In 302, there were 64 patients in the QD
11 group that developed verticillata and 64 in the BID
12 group that developed verticillata compared to 2
13 patients in the timolol group. In study 304, there
14 were 86 patients that developed verticillata in the
15 netarsudil group compared to zero patients in the
16 timolol group.

17 This observational study OBS01 was designed
18 to follow-up and collect additional safety data in
19 subjects who developed verticillata in studies 301
20 and 302. Subjects in these clinical trials were
21 identified by searching for the following AEs:
22 corneal whorls, corneal haze, subepithelial corneal

1 deposits, vortex epitheliopathy, and corneal
2 verticillata. Any of the above in one or both eyes
3 were eligible to participate in this study.

4 This observational study had no set
5 duration, and the expectation was that subjects who
6 consented would participate until there was
7 resolution or stabilization of the verticillata.
8 Subjects participating in this study were not
9 treated with netarsudil. They did, however,
10 restart other IOP-lowering agents as recommended by
11 their eyecare professional.

12 The following information was collected on
13 the patients: contrast sensitivity testing, thus
14 corrected visual acuity; the VF-14 questionnaire.
15 Corneal verticillata were graded using Orlando's
16 grading scale from 1984. This was a grading scale
17 used to describe amiodarone-induced corneal
18 verticillata. Lastly, the time to corneal
19 verticillata resolution or stabilization was
20 documented.

21 I won't go over in detail the whole grading
22 scale, but it was a grading scale of four grades

1 with grade 1 being mild verticillata and grade 4
2 being the more severe.

3 Corneal verticillata were graded at visit 1
4 and all the monthly/bimonthly follow-up visits.
5 Subjects were followed until corneal verticillata
6 resolved in both eyes. Therefore, an eye
7 considered resolved at a prior visit was
8 reevaluated if corneal verticillata remained in the
9 fellow eye.

10 All corneal verticillata cases reported in
11 study 301 were resolved by the time this
12 observation study was initiated, therefore, there
13 are no subjects from 301. All the data is from
14 patients in 302.

15 Looking at the baseline characteristics of
16 verticillata, the number of patients who had
17 corneal verticillata at the study entry was 15 in
18 the netarsudil QD group and 4 in the BID group. In
19 terms of the duration of investigation product to
20 the start of verticillata, it was approximately
21 165 days in the netarsudil QD and approximately
22 110 days in the BID group.

1 This table is looking at the mean change
2 from baseline in the corneal deposit grading.
3 There's a lot of information here, but in summary,
4 as you can see, as we go in visits, there's less
5 eyes with verticillata, and the trend is that the
6 grading score is getting better at each visit.

7 Looking at mean change from baseline in
8 visual acuity, if we look at the change from
9 visit 2 to the final visit, you can see that in the
10 netarsudil QD group and the BID group, there is no
11 significant change in visual acuity.

12 Looking at the time from corneal
13 verticillata start to resolution or stabilization
14 by treatment group, the mean time in days to
15 resolution or stabilization was approximately
16 496 days in the netarsudil QD group and 517 days in
17 the netarsudil BID group.

18 Looking at the time from last dose to
19 resolution or stabilization by treatment group, the
20 mean time from last dose in days was approximately
21 317 days in the netarsudil QD group and
22 approximately 419 days in the BID group.

1 Looking at discontinuations, as I previously
2 mentioned, in study 301, there are 11 patients who
3 developed verticillata. Of those, zero
4 discontinued treatment. In study 302, I mentioned
5 there were 64 patients in each of the QD and BID
6 dosing group that developed verticillata. Thirteen
7 in the QD dosing group discontinued treatment and
8 24 in the BID discontinued treatment.

9 In study 304, there were 84 patients that
10 developed verticillata, and out of those 86 [sic],
11 14 discontinued treatment. At the completion of
12 this observation study, corneal verticillata had
13 resolved in all subjects except three where corneal
14 verticillata remained stabilized but unresolved.

15 This table shows those 3 patients. It shows
16 what their verticillata grade was at visit 1, shows
17 what their verticillata grade was at stabilization,
18 and of note, two of the patients were on oral
19 NSAIDs.

20 I'll leave you with this slide, which is the
21 questions we'll be discussing later in the day, and
22 I thank you for your attention.

Clarifying Questions

1
2 DR. CHODOSH: Thank you. At this point,
3 we're going to ask clarifying questions for the
4 FDA. And again, for those of you on the panel,
5 please remember to state your name for the record
6 before you speak. And if you can, please direct
7 your questions to either Sonal or Yunfan.

8 Go ahead, Geoff.

9 DR. EMERSON: Geoff Emerson. This is a
10 question for Dr. Wadhwa. Is it common in a phase 3
11 trial to have the prespecified endpoint exclude a
12 portion of the patients, in this case, the
13 pressures that are over 25?

14 DR. WADHWA: Different trials have had
15 different exclusion criteria. We've had trials
16 where there are patients at less than 36 or lower
17 inclusion criteria. It's not unusual to have
18 prespecified IOP levels.

19 DR. CHAMBERS: Wiley Chambers. We do
20 encourage people developing products to learn from
21 prior trials. If people see a particular finding
22 in one trial, it is not uncommon to change things

1 in subsequent trials or trials that are ongoing,
2 change analysis plans to match what has been
3 learned from a prior trial. As long as it's done
4 prior to unblinding of the trial, we generally
5 consider it acceptable.

6 DR. KWON: Young Kwon. This is a question
7 directed at Dr. Deng. Let me see if I can say this
8 correctly. In the earlier presentation by Aerie
9 Pharmaceuticals, in study 304, they noted they've
10 met the primary efficacy endpoint for all three
11 groups, the group with baseline IOP less than 25,
12 group with a baseline IOP less than 27, and less
13 than 30. There was an earlier presentation in the
14 morning.

15 You have just presented a subgroup analysis
16 where in study 304, you've noted that they have met
17 the primary endpoint efficacy in a group baseline
18 IOP less than 25 but not for those over 25.

19 Trying to understand the difference in the
20 conclusions, would it be reasonable to
21 assume -- and this is my assumption -- that the
22 reason why the conclusion is slightly different for

1 those with baseline IOP greater than 25 is that
2 they have included all of the patients with the
3 baseline IOP less than 27 to 30 to come up with
4 their primary efficacy endpoint, whereas you've
5 isolated those greater than IOP 25 to conclude that
6 it's the primary endpoint of noninferiority was not
7 met?

8 That was a long question, but do you
9 understand the gist of my question?

10 DR. DENG: Yunfan Deng. I think I got your
11 point. Specifically for study 304, the predefined
12 analysis population is for subjects less than 25,
13 and also, the study is powered for that population
14 is our take. For subjects greater than 25, the
15 study was not powered for that portion, that
16 subset.

17 So anything we observed that's consistently
18 happening in all the three studies, we can say that
19 subjects with higher IOP had smaller mean IOP
20 reduction effect. That's what we observed. But in
21 terms of statistical testing, I would hesitate to
22 draw the conclusion of noninferiority criteria not

1 met for that higher IOP subset.

2 DR. KWON: If I understand you correctly,
3 you're saying that for that subgroup with a greater
4 than 25 baseline IOP, the primary endpoint of
5 noninferiority to timolol was not met; is that
6 correct?

7 DR. CHAMBERS: This is Wiley Chambers. I
8 think the issue you're getting caught up on is
9 whether it was prespecified within the analysis
10 plan. There was not a prespecification in the
11 analysis plan just to look at people over 25.

12 We have done it, and that's why you're
13 seeing reported observation. But without having
14 prespecified it and therefore accounting for the
15 alpha, we're not saying that it's a definitive
16 finding.

17 DR. CHODOSH: I think there's someone who'd
18 like to say something from the FDA.

19 DR. WANG: My name is Yan Wang. I'm the
20 statistical team leader for this application. To
21 answer the question about our conclusion regarding
22 the result for the subjects with baseline above 25,

1 even though the sponsor's analysis, they make the
2 95th margin for the overall population less than
3 25 -- less than 27, I think certainly they don't
4 make it one of their analyses.

5 When we are talking about results for the
6 subset with baseline above 25, we're not focusing
7 on statistical inference anymore. It's based on
8 the collective evidence of here. Our conclusion is
9 that even though sponsor has not predefined to
10 power the study to make an inference about the
11 subset of subjects, our conclusion is that the test
12 product is not doing as well as timolol for this
13 subset of people.

14 DR. CHODOSH: I had a question. This is
15 James Chodosh. I had a question that relates I
16 think to that. The applicant told us that the
17 pressure-lowering effect is equal across all
18 intraocular pressures, whereas timolol has a
19 greater effect at higher pressures.

20 In effect, it shouldn't surprise us, I don't
21 think, if there is a drop-off in noninferiority, if
22 I can put it that way, that drop-off would be more

1 likely as you go to the higher-starting pressures.
2 That's my interpretation of it.

3 I had a different question that --

4 DR. WANG: Can I clarify the question, the
5 statement you make for the sponsor. If you go to
6 slide 26 of the statistical presentation, I want to
7 clarify that. The test product makes the 95 margin
8 only for the subset of people with baseline less
9 than 25. When you look at the subset with baseline
10 less than 27, overall, they don't make it. I just
11 want to clarify that.

12 DR. CHODOSH: But it was also emphasized
13 that it wasn't powered to do that; is that correct?

14 DR. WANG: Even if they powered, based on
15 what we observed in terms of the treatment
16 difference, they were not able to show
17 noninferiority because the point estimation favored
18 the timolol group.

19 DR. CHODOSH: I had another question for
20 Yunfan, and that is, in looking particularly in
21 study 301, it looked to me like there was some
22 drop-off in effect of the drug as you got later in

1 time. So between the first time point and the
2 90-day time point, it looked like the differences
3 or the mean drop in IOP was less as you got to
4 90 days. In 302, it was less obvious.

5 Do you have any comment about a potential
6 loss of effect over time of the drug? I was
7 particularly looking at slide 29 actually, if you
8 look at the graphical representation on the right.

9 DR. DENG: I will hand it to Dr. Chambers.
10 He knows the disease.

11 DR. CHAMBERS: I think numerically there is
12 a slight difference, but it's not statistically
13 significant. It's not powered to look at those
14 differences. To date, we have never seen a product
15 have tachyphylaxis wear off at day 90 that then
16 followed through -- at times after day 90 that
17 didn't show up at day 90. So we've usually viewed
18 day 90 as being sufficient for what happens long
19 term.

20 DR. CHODOSH: You're not expecting this to
21 progress more if there is indeed a trend.

22 DR. CHAMBERS: We believe if there was going

1 to be tachyphylaxis, we would have seen it at
2 day 90, correct.

3 DR. CHODOSH: David, I believe you had a
4 question.

5 DR. YOO: Dave Yoo. For the corneal
6 verticillata, were there a corresponding number of
7 patients that were on NSAIDs who ended up having
8 resolution of the verticillata?

9 DR. WADHWA: I don't know that off the top
10 of my head. I don't know if the applicant has that
11 information.

12 DR. CHODOSH: We'll, I think, have some more
13 time to ask the applicant some questions. Do we
14 want to involve them now; is that okay?

15 Would someone on the applicant's side like
16 to address that question?

17 Do you want to ask it again, David, please?

18 DR. YOO: Dave Yoo again. Really the
19 question is, were there people on other types of
20 medications that can cause verticillata who had
21 resolution of the verticillata after the study was
22 completed?

1 DR. HEAH: Theresa Heah. Yes, a number of
2 our patients, because of their age group, they are
3 on NSAIDs for all the various reasons. Of the
4 three subjects that Dr. Wadhwa showed earlier, two
5 of them have actually resolved. One of them was on
6 naproxen, and they actually had resolution of the
7 corneal verticillata, whereas another subject that
8 was on ibuprofen or Advil, that subject has not
9 resolved.

10 DR. CHODOSH: I cut off Dr. Tonya King from
11 asking a question in the earlier session, so she's
12 going to get her chance now.

13 DR. KING: Thank you. Tonya King.

14 I had a number of questions. First of all,
15 in the analysis, it doesn't mention the number of
16 subjects that may have had both eyes being treated,
17 and I was curious whether there were individuals in
18 the study -- it appears it was alluded to -- that
19 had multiple eyes in the analysis and whether the
20 analysis was adjusted for this correlation within a
21 person or whether the eyes were treated as
22 independent.

1 DR. USNER: Dale Usner, statistician, and I
2 will call up Dr. Heah as well in a moment. The
3 analysis was completed on a predefined study eye
4 within each patient. So the primary analysis
5 actually only took one eye of each patient into
6 account, and that predefined study eye was based
7 off of enrollment criteria at baseline. And if Dr.
8 Heah could address that.

9 DR. HEAH: Yes. As ophthalmologists, we
10 look at both eyes because the drug is being dosed
11 in both eyes, so we had the study fellow eye. And
12 we followed up the patients both in terms of study
13 eye and fellow eye and per subject level as well.

14 DR. CHODOSH: Peter Zloty had the next
15 question.

16 DR. ZLOTY: Peter Zloty, a question
17 concerning safety. I noticed that in the pooled
18 phase 3 data, 22 percent of the folks had to
19 discontinue it when they were using it once a day.
20 And when they used it twice a day, almost
21 60 percent.

22 Now, I understand we're talking about just

1 once a day, but we've alluded to compliance, and
2 patients get confused. And I'm not sure I've seen
3 any other ophthalmic medications where if the
4 patient used an extra drop, they would have such an
5 adverse side effect. So can you talk to whether or
6 not the number of 22 percent of patients having to
7 discontinue is not especially high for an
8 application of a new medication, and at twice a
9 day, 60 percent had to stop using the drop?

10 DR. CHAMBERS: This is Wiley Chambers. It
11 is obviously higher than the control group. That's
12 why we put the control groups in. It is not
13 unique, groundbreaking, whatever other term.

14 What happens in clinical trials is different
15 than what happens in clinical practice. In
16 clinical trials, we tend to be more conservative,
17 and not knowing all of what's going on, it's not
18 uncommon to have people drop out of trials, both to
19 study whether the effect has gone away or the
20 seriousness of the particular effect when you don't
21 fully understand it.

22 Sometimes, you will see differences in

1 continuation rates at different stages of
2 development and depending on how much we know about
3 the particular adverse event. So we don't make as
4 much about what the particular rate is in a
5 clinical trial the first time something gets
6 observed. Or sometimes, it doesn't; sometimes, it
7 gets missed.

8 There are some major events that were not
9 seen initially in clinical trials. Prostaglandin
10 analogues, for example, grow eyelashes, not
11 observed in the original clinical trials, yet very
12 evident when people went back and looked at it. So
13 sometimes we don't pick up everything in every
14 clinical trial.

15 DR. CHODOSH: Jo Ellen?

16 MS. DeLUCA: Jo Ellen DeLuca, patient rep.
17 How much prepping do the patients get in terms of,
18 say, vocabulary, ease with their surroundings,
19 before they set out on the trial, or are there
20 different amounts where people come in in surgery
21 that day and not much prep, and somebody else has a
22 prep with an assistant in the office who can help

1 them out with vocabulary and make them feel more
2 comfortable in their surroundings? Hospitals are
3 scary for most people.

4 I think that it has a lot of relevancy to
5 the trial when you have more patients, a variety of
6 patients, than it is to just have a few. The
7 prepping and getting ready things at home and
8 making them feel comfortable before they go, is
9 that part of the process or -- especially with eyes
10 when you think you're going to go blind.

11 DR. CHODOSH: Wiley?

12 DR. CHAMBERS: We'll defer to the sponsor to
13 explain what preparation was done to patients,
14 explanation to patients in the clinical trial.

15 DR. HEAH: Theresa Heah. To prepare the
16 patient in our clinical trial, our study
17 investigators at the study site explained in detail
18 the study protocol and collected informed consent.
19 I think in clinical trials, the investigators tend
20 to be more hypervigilant and so are patients
21 because it's a new class of drug.

22 As Dr. Chambers had mentioned earlier, it's

1 not unusual for us to see this in a new class phase
2 3 registration trial in terms of the
3 discontinuation rate.

4 Slide up, please. In the previous times of
5 Xalatan, for example, latanoprost, which Dr. Sultan
6 might be more familiar with Pfizer, the
7 discontinuation rate in the phase 3 trial was
8 25 percent versus timolol, and for Alphagan, a
9 12-month study, the discontinuation rate was
10 46 percent.

11 In terms of our clinical trial, we actually
12 also ensured that patients had the compliance
13 dosing reminder to remind them to take the drug
14 between 8:00 to 10:00 p.m. We have a little timer,
15 and we also have reminder cards for them to make
16 sure that they go back to the study visits as well.

17 Dr. Lewis can also speak from a clinician
18 medical monitor perspective.

19 DR. LEWIS: Hi. Rick Lewis, chief medical
20 officer and medical monitor during the trials. The
21 initial finding of the verticillata was a surprise
22 to us as well as the investigator, and I think as

1 Dr. Chambers pointed out, it caused some of the
2 investigators to have some concern and discontinue
3 their patients in the trial.

4 As we became more comfortable with it, we
5 began to inform all the investigators through
6 investigator meetings of what to expect, what the
7 percentages were, and perhaps the most important
8 thing was that there was no effect on visual
9 function. With that, subsequent investigators felt
10 more comfortable with this side effect.

11 DR. CHODOSH: Thank you.

12 Randy, you had a question?

13 DR. HAWKINS: Randy Hawkins. I may have
14 gotten an answer. I was going to ask what time, to
15 the applicant, was the drop administered in the
16 evening and whether the adverse effect was reported
17 being during the daytime or the night relative to
18 the eye symptoms.

19 DR. HEAH: Theresa Heah. For the netarsudil
20 QD once-a-day dosing is dosed at night between 8:00
21 and 10:00 p.m. as prespecified in our study
22 protocol. Because we are comparing with the active

1 comparator timolol that's dosed twice a day, when
2 patients come back in the morning, they get their
3 study visit at 8:00 a.m., and then they have a
4 vehicle instillation to make sure that they are
5 well controlled because the comparator arm is twice
6 a day.

7 For the netarsudil BID group, they are dosed
8 also after the study visit at 8:00 a.m. between our
9 8:00 and 10:00 a.m. So the dosing for night is
10 8:00 to 10:00 at night, in the morning between 8:00
11 to 10:00 immediately after the study visit. Our
12 study visit, from a diurnal perspective, will
13 collect information at 8:00 a.m., 10:00 a.m., and
14 1600, which is 4:00 p.m.

15 DR. CHODOSH: David, I think you're next.

16 DR. YOO: A quick question about the
17 conjunctival hyperemia. So if we are going to be
18 using this in an additive fashion, do you
19 anticipate, let's say, a prostaglandin agonist,
20 that the hyperemia could get worse, or do you think
21 that it will just be an effect if you used these in
22 conjunction anyway?

1 DR. HEAH: Theresa Heah. Actually, we have
2 ongoing phase 3 studies in our fixed-dose
3 combination called netarsudil/latanoprost in our
4 Mercury program. So we have the information
5 actually followed up in a mild [ph] fashion and
6 also with Mercury 1, Mercury 2. We didn't see an
7 additive increase in hyperemia. In fact, we just
8 saw the rates being similar. As study goes by,
9 hyperemia rates actually improve. Maybe because
10 investigators are getting used as well to the study
11 and patients as well, yes.

12 DR. CHODOSH: I think next is Mildred.

13 DR. OLIVIER: Thanks. Were there any
14 differences in the patients who were naive to
15 medications versus those that you had washed out,
16 or did you look at that at all?

17 DR. KOPCZYNSKI: Casey Kopczynski. I can
18 say that from an efficacy perspective, we did look
19 at the efficacy in the treatment naive versus those
20 who came in on prior treatment. In the CS301 study
21 at the 2-week time point, there was actually an
22 efficacy benefit selectively for patients who came

1 in on a prostaglandin, but other than that, in the
2 subsequent studies, there was essentially the same
3 IOP response, whether patients came in on prior
4 medication or treatment naive.

5 DR. CHODOSH: Thank you.

6 Tonya is next. Please remember, everyone,
7 to state your full name before each question or
8 response. Thanks.

9 DR. KING: Tonya King. A number of the
10 speakers mentioned increased compliance and lower
11 systemic adverse effects as benefits of netarsudil,
12 but in terms -- and I don't know that we've seen
13 much compliance data other than the higher rate of
14 discontinuation in netarsudil.

15 With respect to the systemic effects on
16 slide 67, the rates were actually the same, about
17 26 percent in both netarsudil and timolol. I was
18 just questioning the conclusions made based on the
19 data that was presented.

20 DR. KOPCZYNSKI: Yes. I can point out that
21 we specifically excluded patients who had
22 contraindications to beta blocker use, so we would

1 not expect to see those types of systemic effects
2 that are in the package label and physicians are
3 warned against.

4 Perhaps Dr. Mattox can talk to the benefits
5 of once a day in terms of compliance.

6 DR. MATTOX: Cynthia Mattox. In treating a
7 glaucoma patient, it's about efficacy and
8 compliance. And having a once-a-day dosing regimen
9 for a patient, we saw this when the prostaglandins
10 were first introduced, how beneficial that was for
11 our patients. Slide down, please.

12 The importance of that to our patients can't
13 be underestimated. Having talked with many, many
14 patients having to choose to do a drop once a day
15 versus multiple dosing throughout a day is a big
16 factor for their quality of life.

17 DR. CHODOSH: Marla, your turn.

18 DR. SULTAN: Marla Sultan. Just a question.
19 Given the pH of netarsudil is 5 and the pH of
20 timolol I believe is about 7, how is the blind
21 assured in administering the drops?

22 DR. KOPCZYNSKI: I think we have a slide in

1 the core deck on comfort. It might be worth
2 pulling that back up.

3 The pH of 5 does not cause any more stinging
4 than is seen with timolol at pH 7. Slide up,
5 please. If you look instillation site pain in this
6 table from an adverse event perspective, it was at
7 19.9 percent for netarsudil dosed once daily and
8 21.6 percent for timolol. The buffering is very
9 weak in our formulation, and that's what allows the
10 drop to be comfortable because the tears will
11 naturally neutralize the lower pH.

12 DR. SULTAN: Just a follow-on to that.
13 Marla Sultan. So the netarsudil was administered
14 at night. What was the pH of the drop that was
15 given in the morning to that same subject?

16 DR. KOPCYZNSKI: It was the vehicle that was
17 used to manufacture the drug, so it was the same
18 pH, a pH 5. Everything was identical except there
19 was no active ingredient in that morning dose.

20 DR. CHODOSH: Are there any other questions
21 from -- oh, yes, Young?

22 DR. KWON: Young Kwon here. Another

1 question about the corneal verticillata, when the
2 corneal verticillata was detected in the study
3 patient taking netarsudil, was it an automatic
4 criteria for discontinuing the medication and/or
5 the study, or was it left up to the discretion of
6 the treating physician to leave it up? And I ask
7 that because as a treating physician, what would
8 one do when one sees a corneal verticillata arise
9 in a patient being treated with netarsudil?

10 DR. KOPCZYNSKI: Dr. Heah, perhaps you can
11 address the first part of that question, and
12 Dr. Mattox, if you'd address how do you deal with
13 patients who have cornea verticillata.

14 DR. HEAH: Theresa Heah. So in our study
15 protocol, it is up to the discretion of
16 investigator and also subject based on any adverse
17 event to discontinue. Upon the earlier reporting
18 of cornea verticillata in our phase 3 studies, the
19 investigators did discontinue the patients upon
20 seeing it because at that time, it was unlisted as
21 an expected adverse event in our investigator
22 brochure.

1 Once we did the in vitro assay, found the
2 cause being phospholipidosis, discussed it with our
3 phospholipidosis expert along with sharing this
4 information with FDA, we updated the IAB, and we
5 discussed and actively mentioned it and presented
6 it to all of the investigators to ensure they
7 understand what cornea verticillata is because we
8 want to ensure that we keep patients' safety at our
9 utmost importance.

10 Dr. Mattox will talk about clinical
11 perspective.

12 DR. CHODOSH: Can I interrupt you just for a
13 minute? Can you elaborate, though? At what point
14 did that information go out to the investigators?
15 Because it strikes me, obviously, that affects your
16 intend-to-treat analysis if, for example, a patient
17 gets a few doses or a limited number of doses and
18 then they're pulled out of the study by the
19 examiner.

20 At what point in these clinical trials did
21 that happen, and can you reflect on how that might
22 have altered your data?

1 DR. HEAH: I'd like to clarify that in the
2 intend-to-treat population, every single subject
3 that has received at least one dose of the study
4 drug are included in the safety analysis. So
5 whether they're continued or not, they are still a
6 part. As long as they received one study drug,
7 they are part of our ITT analysis.

8 DR. CHODOSH: I understand that. My
9 question is, how do you think it affected the
10 outcome of your analysis? At what point during
11 these three trials was there communication to the
12 site investigators that the verticillata was not a
13 big deal, for example, or it was something that you
14 didn't think was going to be serious?

15 Because that presumably would change the
16 behavior of investigators who, upon seeing this
17 prior to that knowledge would be -- it looked from
18 the data, they were pulling patients out of the
19 study because they saw this change in the
20 appearance of the cornea.

21 So when did that happen, and how might it
22 have affected your data analysis?

1 DR. HEAH: First, Dr. Lewis will discuss it
2 as well from a medical monitoring perspective. But
3 I'd like to bring up the slide in terms of study
4 discontinuation with cornea verticillata in terms
5 of the time of discontinuation. We saw that upon
6 reporting of cornea verticillata, the
7 discontinuation rate over time did not change by
8 days.

9 Can I have that slide, please, from our
10 Aerie slide deck, please? And while we're waiting
11 for the slide, Dr. Lewis, please.

12 DR. LEWIS: We became aware of the problem
13 in the first of the trials, and I received a number
14 of phone calls and actually had a chance to examine
15 the patients. For all the clinicians on the panel,
16 it looked for all the world like amiodarone did.

17 We then sent out notification to all the
18 investigators, and in subsequent investigator
19 meetings, we would show pictures and give those
20 investigators enough heads up to understand what
21 was to be expected.

22 The investigators did not necessarily all

1 discontinue patients. Most felt comfortable seeing
2 the verticillata that they'd seen with the
3 amiodarone, and for the most part, they continued
4 their subjects in the study. It was the patients
5 who perhaps had other adverse events, redness or
6 something else, that might have provoked this
7 discontinuation in the first study, but I think as
8 things went forward, we didn't see a change.

9 DR. HEAH: The discontinuation rate was
10 3.7 percent. Slide up, please. In terms of the
11 discontinuation by days, as you can see, the
12 discontinuation occurred in various -- there's no
13 specific time. So some of them -- so in
14 conclusion, it did not affect our per-protocol
15 efficacy analysis.

16 DR. CHODOSH: Did you want to say something
17 more before we go to the next question?

18 (Dr. Kopczynski gestures no.)

19 DR. CHODOSH: Marla?

20 DR. SULTAN: Marla Sultan. That's a great
21 breakout. Do you actually have it broken out for
22 each AE? I think that slide you just had up had

1 corneal verticillata, hemorrhage, and I forget the
2 third altogether.

3 Did you break it down by days discontinued,
4 and do you also have a subsequent or a
5 complementary slide, which has the numbers of
6 patients that remained within the trial that have
7 those adverse events reported but not reported and
8 discontinued?

9 DR. KOPCZYNSKI: Dr. Heah?

10 DR. HEAH: Thank you for the various
11 questions. Can you repeat your questions so that I
12 can answer every single question?

13 DR. SULTAN: Sure. That last slide that you
14 just called up, do you have that broken out for
15 each AE as opposed to all three lumped together?

16 DR. HEAH: Yes. That was specifically for
17 cornea verticillata. So let's look at conjunctival
18 hyperemia. Slide up, please. This is
19 discontinuation in terms of by days for
20 conjunctival hyperemia. As I mentioned earlier,
21 the incidence of pooled data from four phase 3s,
22 54.4 percent. From two phase 3s was 57 percent,

1 and that's the discontinuation by days.

2 Next slide up, please. Conjunctival
3 hemorrhage by days of discontinuation, so
4 17.2 percent incidence, discontinuation was
5 1 percent. This is the breakdown of the
6 conjunctival hemorrhage discontinuation by the day.

7 DR. SULTAN: The latter part of my question
8 was, do you have -- these AEs can be reported, and
9 the patient yet remains within the study. Do you
10 have that complementary information?

11 DR. HEAH: Reported but remain in the study.

12 DR. SULTAN: What you showed was the
13 reporting of --

14 DR. HEAH: The discontinuation --

15 DR. SULTAN: -- AE and the discontinuation,
16 but the AE could be reported, but the patient
17 remains within the trial.

18 DR. HEAH: Thank you very much for that
19 question. For cornea verticillata, slide up,
20 please. This is from our CS304 study. To your
21 question, upon continued dosing, we have
22 information as well.

1 If you focus on the top part of this busy
2 slide on the study eye, you can see that with no
3 drop withdrawal, there are two patients that did
4 resolve the cornea verticillata while still being
5 dosed with netarsudil QD.

6 DR. SULTAN: Only two subjects remained
7 throughout the trial with verticillata?

8 DR. HEAH: Two subjects from the CS304
9 study, yes, basically continued with no drug
10 withdrawal and had resolution of the cornea
11 verticillata.

12 In terms of hyperemia, let's go back to my
13 core slide on the discontinuation rate. I think to
14 your question -- discontinuation core slide,
15 please. Slide up, please, 77. Just to clarify,
16 6 percent of the patients discontinued due to
17 hyperemia, which means the rest remain in the
18 trial; 3.7 percent discontinued due to cornea
19 verticillata; 1 percent with conjunctival
20 hemorrhage.

21 I hope I'm answering your question,
22 Dr. Sultan, because the rest of them remained in

1 the trial and did not discontinue from the trial or
2 study drug.

3 DR. SULTAN: So maybe I'm not understanding
4 the answer, but not what I intended for my
5 question.

6 DR. HEAH: Okay.

7 DR. SULTAN: My question is, if a patient
8 was -- sorry, it's so awkward. If the patient was
9 noted to have conjunctival hyperemia reported as an
10 AE, but the patient stayed within the trial, how
11 many of those patients stayed in trial even with
12 the reporting of an AE of hyperemia as opposed to
13 hyperemia was noticed, reported as an AE, and that
14 patient was discontinued because of that finding of
15 an AE?

16 So how many people stayed with hyperemia all
17 the way through?

18 DR. HEAH: Slide up, please. So of the
19 839 subjects, 49 subjects discontinued from the
20 trial due to hyperemia. So the remaining of the
21 patients stayed in the trial.

22 DR. SULTAN: But the other 790 -- I don't

1 know -- I give up on my math. But 700 and
2 something patients did not all also have hyperemia,
3 right, or did they?

4 DR. HEAH: Right. They did not. The 839
5 minus 49, so 790 patients remained in the trial,
6 but they don't discontinue due to hyperemia.

7 Just to clarify again, in our case report
8 form, patients can discontinue due to an adverse
9 event. But if they have concurrent adverse event
10 at that time of discontinuation, they are also
11 counted, they are reported in the trial. So they
12 could be having conjunctival hyperemia and
13 hemorrhage and discontinue because, say, for
14 example, due to hyperemia but also have that
15 concurrent AE, yes.

16 DR. SULTAN: What is that concurrent AE
17 rate?

18 DR. HEAH: Could I have the slide on
19 concurrent AEs, please? Thank you. I'm glad I got
20 that.

21 DR. SULTAN: Yes.

22 DR. HEAH: Concurrent AEs, please. Slide

1 up. Concurrent AEs with hyperemia, for example,
2 those with cornea verticillata and conjunctival
3 hyperemia, is 11.8 percent. Hyperemia and
4 hemorrhage, 9 percent; hyperemia and vision
5 blurred, 4.8 and 1.9. But that doesn't mean that
6 they discontinued. They report hyperemia at the
7 same time.

8 DR. CHODOSH: We're going to take I think
9 two more questions. Randy, you're next. State
10 your name.

11 DR. HAWKINS: Randy Hawkins. Sorry if it's
12 clear to everybody else. I actually need a little
13 bit of clarification. It may have happened because
14 I wasn't listening clear enough when the
15 statistician from the FDA reported.

16 Based on the drug netarsudil being given
17 once daily and the requested indication, is the
18 drug inferior or not inferior to timolol? Is it
19 just as effective? I got thrown off by the
20 presentation above 25. I don't know if you need to
21 just throw the information away or not. I'm sorry
22 if I'm the only one confused by that.

1 DR. CHODOSH: It's a fair question.

2 DR. CHAMBERS: This is Wiley Chambers. I
3 think there is no disagreement that the drug and
4 timolol are equivalent in IOP lowering for less
5 than 25. There is a general -- what's generally
6 been presented is that there is more IOP lowering
7 in timolol at higher intraocular pressures, so that
8 when you go above 25, timolol has generally been
9 shown to have a little bit more IOP lowering than
10 netarsudil does when you're above 25.

11 We have not generally done -- what is not
12 inferior apparently depends on where you are as far
13 as IOP lowering.

14 DR. HAWKINS: Thank you. Very helpful.

15 DR. CHODOSH: Did you have one more
16 question?

17 DR. KWON: Yes. This goes back to the
18 corneal verticillata question. This is Young Kwon
19 here again. As a clinician, I got the sense,
20 listening to the panel -- from the sponsor, that
21 corneal verticillata is not necessarily a
22 contraindication to continuing to use the

1 medication if the patient can tolerate it since it
2 doesn't seem to impair the function.

3 Did I hear that correctly? Because my
4 experience with corneal verticillata, say from
5 amiodarone, is you continuing using it because it's
6 a lifesaving drug, but can you say the same thing
7 for netarsudil? We do have other glaucoma
8 medications we can use when we see corneal
9 verticillata. What is your opinion on that?

10 DR. KOPCZYNSKI: Yes, we do not see
11 verticillata as a reason to discontinue or not to
12 use the drug, but I'd like Dr. Serle and Dr. Mattox
13 maybe to give their perspective as clinicians.

14 DR. MATTOX: As a clinician and all of us
15 who are here who are ophthalmologists have seen
16 amiodarone corneal verticillata, and generally,
17 amiodarone corneal verticillata, to the stage or
18 the grading, if you will, is typically much higher
19 and occurs in almost a hundred percent of patients.
20 In fact, oftentimes, we see it. The patient is
21 completely unaware of it. We have to point it out
22 to them because there are no visual complaints.

1 What I've seen from the sponsor is that this
2 doesn't occur in everyone through the trials. The
3 grading is mild, and these patients also did not
4 have complaints. Again, trading off the risk of
5 serious irreversible blindness from glaucoma
6 compared to what we're seeing from the corneal
7 verticillata, I think clinically the risk is
8 acceptable.

9 DR. SERLE: You've asked about options.
10 Dr. Mattox has covered the views of those who see
11 patients with amiodarone as well as looking at the
12 data from the study, that the drug does not
13 interfere with vision, and vision is what we're
14 trying to preserve in our patients. As we all
15 know, lowering IOP is what preserves vision in
16 glaucoma patients.

17 We do have other options, but I think we've
18 reviewed some of the limitations of those. First
19 of all, not every drug works in every patient, and
20 we all know that we cannot yet get adequate IOP
21 control in our patients.

22 Could you bring up the slide with the

1 surgery graph? I think it's the first one. I
2 think we'll know we're there with glaucoma when we
3 no longer have to operate on any of our patients
4 and when we don't have patients going blind, and
5 we're not there yet.

6 The last time we had any reduction in the
7 number of surgical volumes was around 1995-1996
8 when the three classes of compounds were introduced
9 for clinical use. It's my hope, if netarsudil gets
10 approved, we'll have another reduction in
11 incisional surgical volume in this country.

12 Now, the numbers here are only the Medicare
13 patients, so there are a lot, many more patients
14 that we're doing glaucoma surgery on. So I think
15 this kind of information proves we're not there
16 yet. We certainly need other options. We don't
17 have a sufficient number.

18 DR. CHODOSH: Thank you so much.

19 We're going to break for lunch. We're going
20 to reconvene at 12:30 sharp. It's my understanding
21 that you don't have to remove your personal
22 belongings, but you can if you want. Committee

1 members, please, no discussion of the meeting
2 during lunch among yourselves, with the press, or
3 any member of the audience.

4 Thank you. We're adjourned.

5 (Whereupon, at 11:36 a.m., a lunch recess
6 was taken.)

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

A F T E R N O O N S E S S I O N

(12:29 p.m.)

Clarifying Questions (continued)

DR. CHODOSH: The next thing on the agenda today is an open public hearing. As far as I know, no one's signed up to speak. So before I go through the long introduction to the open public hearing, is there anyone in the audience who intended to speak? I'll wait till these folks come in.

Again, this is the time for the open public hearing. Is there anyone in today's audience who wanted to address the committee or speak?

(No response.)

DR. CHODOSH: Not seeing that, I think we're going to move forward. What I would like to do, before we go to the next section, is to see if there was any more discussion questions for either the applicant or to the FDA from our committee. I particularly liked the specificity of the questions as we got farther into the morning, and I thought it was productive for us to hear the harder

1 questioning. I think it's good to flesh these
2 things out. Better to do it here than later
3 afterwards to say, oh, I should have asked.

4 I wanted first to put forwards to the
5 committee that if anyone had any questions either
6 for the applicant or for the FDA, that this would
7 be the time to do that, because following this,
8 we're going to move to the questions.

9 Yes, Marla?

10 DR. SULTAN: Marla Sultan. Just a question,
11 I think this is an okay question. But I was just
12 wondering if this application has already been
13 submitted to other parts of the world, and if that
14 is so, if there was any feedback from those
15 interactions that is publicly shareable.

16 DR. KOPCZYNSKI: It has not been submitted
17 elsewhere.

18 DR. CHODOSH: Geoff first. State your name.

19 DR. EMERSON: Geoffrey Emerson, a question
20 for FDA about the draft of the FDA label in our
21 briefing packets. Is this a fine time to ask that?

22 DR. CHAMBERS: Wiley Chambers. So as part

1 of the original application, applicants are
2 required to submit a potential label, a whole
3 series of labeling, and the FDA frequently makes
4 comments about that, and there is give and take
5 back and forth as the application proceeds. Most
6 of that generally occurs toward the later stages of
7 the application.

8 We had not shared the particular comments
9 that you have seen with the applicant prior to it
10 appearing in the briefing document, but it was
11 meant to initiate discussion both at the advisory
12 committee and in the future with the applicant.

13 So you should feel free to make any comments
14 that you have with either things that were in there
15 originally, or things that were struck out, or
16 things that should be added.

17 DR. CHODOSH: Wiley, during the question
18 session, there's an opportunity to talk about
19 labeling, right? Is that the better time to do
20 that, or should we be engaging in that now? Are we
21 putting the cart before the horse?

22 DR. CHAMBERS: At this point, I would ask

1 questions. If you have questions about it, do that
2 now. As far as what your recommendations and
3 suggestions are, do that at the time we have the
4 questions.

5 DR. CHODOSH: Did you want to follow up on
6 that, Geoff?

7 DR. EMERSON: Yes. Then I'll ask my
8 question. It's section number 14 in the FDA label
9 on clinical studies. It looks like the FDA has
10 proposed adding the phrase "in patients with
11 baseline IOP of less than 25 millimeters mercury."

12 My question for FDA is, with this added,
13 does that mean that if a doctor's treating a
14 patient and their baseline IOP is more than 25, are
15 they using the medication off label?

16 DR. CHAMBERS: Whether a product is used on
17 or off label depends on what is listed in the
18 indication section, not what is listed in the
19 clinical trials section. The clinical trials
20 section is designed to give you more information
21 about what was done, not to limit the use of the
22 product.

1 DR. CHODOSH: Young, you had a question?

2 DR. KWON: Young Kwon. This is more of a
3 scientific curiosity for the sponsor, and that is,
4 if netarsudil works directly at the level of the
5 trabecular meshwork, why does it seem pressure
6 independent, at least in one of the slides that you
7 show, when it reduces the pressure with a baseline
8 IOP of less than 25 or over 25?

9 Do you see what I'm trying to say? The
10 trabecular meshwork, we're all taught, is to be
11 sort of a pressure-dependent component of the
12 outflow facility. So I was just curious.

13 DR. KOPCZYNSKI: I can certainly share how
14 we think about that. Slide up, please, just to
15 start with a slide that references what you were
16 pointing to, which is that baseline pressures above
17 25 as well as below 25 really have got very similar
18 IOP lowering with netarsudil, and that differs from
19 timolol, which got slightly larger IOP reductions
20 in greater than 25.

21 We have, we believe, multiple mechanisms of
22 action, and we focus primarily on the trabecular

1 meshwork. It's the one that seems to be the
2 predominant IOP-lowering mechanism. We do have
3 data that suggests it may also lower episcleral
4 venous pressure.

5 If you think about when pressures get lower,
6 the influence of the pressure in the episcleral
7 veins becomes predominant. If an individual has
8 10 millimeters of episcleral venous pressure and a
9 total intraocular pressure of 16, then the
10 episcleral venous pressure is actually the majority
11 of that pressure in the eye.

12 If we can lower episcleral venous pressure,
13 we actually are lowering the floor in terms of the
14 IOP reductions that can be achieved with our drug.
15 So we think that's part of the explanation for why
16 our drug continues to maintain the same IOP
17 lowering as we get to lower pressures.

18 If I could bring up one more slide to speak
19 to that, slide E-46, please. The slide I'm pulling
20 up is a responder analysis that we conducted on the
21 pooled data from the three different efficacy
22 studies. We're looking at the different

1 populations, starting from the right, the
2 population of patients with pressures less than 27,
3 and looking at the percentage of patients who
4 achieved at least a 20 percent IOP reduction.

5 You can see as we move to the left with
6 lower baseline IOPs, the percentage of patients who
7 were actually able to maintain that 20 percent or
8 greater actually increases with netarsudil and
9 decreases with timolol. Eventually, there's a
10 statistically significant difference at baselines
11 less than 23 and less than 22.

12 We're still learning about this drug. We
13 think it's very interesting, from a number of
14 different perspectives, to have this new mechanism
15 of action and what appears to be a combination of
16 mechanisms of action to take into the clinic. But
17 this is certainly part of the reason we think we
18 are able to maintain that efficacy lowering even as
19 pressures get lower.

20 DR. CHODOSH: I had a scientific question.
21 James Chodosh. So ROCK inhibitors have been
22 proposed to treat corneal endothelial dysfunction,

1 and I was looking maybe with a more sharper eye,
2 not being a glaucoma specialist, at that component.
3 Would this study have been powered sufficiently to
4 detect preservation of endothelial cell count in
5 patients who received that versus timolol?

6 DR. KOPCZYNSKI: I think we would have to
7 have started it with some kind of injury to see
8 what has been reported for the Rho-kinase
9 inhibitors.

10 To your point, it's been shown, certainly
11 preclinically, that if you scar the corneal
12 endothelium and then treat, say, a monkey with a
13 Rho-kinase inhibitor, it actually accelerates the
14 healing of that tissue and allows actually
15 repopulation of that scarred area more rapidly than
16 if the monkey is not treated with a Rho-kinase
17 inhibitor. It is a very interesting and active
18 area of research.

19 DR. CHODOSH: Are there any other questions
20 from the committee?

21 I'm sorry. Peter?

22 DR. ZLOTY: Peter Zloty. We looked at

1 hyperemia as being one of the adverse effects. Was
2 there any increase in cell and flare? And in that
3 regard, would there be any reason to discontinue
4 this before a planned surgery like a cataract
5 surgery? I ask one of the participants who has a
6 lot of clinical expertise in answering this,
7 please.

8 DR. KOPCZYNSKI: Theresa and maybe Rick as
9 well.

10 DR. HEAH: I'll answer the first part on the
11 cell and flare. Slide up, please. We collected
12 the anterior chamber cell count looking at cells
13 and flare in our biomicroscopy slit-lamp
14 measurements, and we didn't see any clinical
15 differences here between netarsudil QD and timolol
16 BID.

17 DR. LEWIS: Speaking as medical monitor for
18 the trial -- this is Rick Lewis, medical monitor
19 for the trial -- there was no concern from the
20 investigators, and some of the subjects did, in
21 fact, have surgery with no adverse events reported
22 from that.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Questions to the Committee and Discussion

DR. CHODOSH: Thank you so much. Those were good questions.

Bear with me as I go through this. We're going to be using an electronic voting system for this meeting, and for those of you with microphones, you'll see that there's a "yes," "no," and "abstain" on your microphone base.

Once we begin the vote, buttons will start flashing and will continue to flash even after you've entered your vote. Please press the button firmly that corresponds to your vote. This is not a situation where you vote often. If you're unsure of your vote or you wish to change your vote, you may press the corresponding button until the vote is closed. So if you have voting rage, you can keep pressing your button.

(Laughter.)

DR. CHODOSH: After everyone has completed their vote, the vote will be locked in. That's when it stops flashing. The vote will then be displayed on the screen, and the DFO will read the

1 vote from the screen into the record. Next, we
2 will go around the room, and each individual who
3 voted will state their name and vote into the
4 record. So please, vote and state your vote
5 consistently, or it will create confusion. We're
6 going to continue in the same manner through the
7 questions.

8 I'm going to read the first question, and
9 then we're going to have a discussion, for those on
10 the committee, about the wording of the question so
11 that everyone understands the question before we
12 vote on it. If there's no concerns or if it's
13 clear, then we can move to the next question.

14 The first question is, do the clinical
15 trials support the efficacy of netarsudil
16 ophthalmic solution for reducing intraocular
17 pressure in patients with open-angle glaucoma or
18 ocular hypertension?

19 We don't want to hear your vote. We just
20 want to know whether this question is ambiguous, or
21 you want clarification, or have any questions or
22 comments about the question, those of you who are

1 voting members?

2 (No response.)

3 DR. CHODOSH: I didn't say, "If no, what
4 additional trials would you recommend," but we're
5 going to go ahead and vote on this question.
6 Again, "Do the clinical trials support the efficacy
7 of netarsudil ophthalmic solution for reducing
8 elevated intraocular pressure in patients with
9 open-angle glaucoma or ocular hypertension?"

10 Please vote. I think this goes on for
11 20 seconds or something like that.

12 (Voting.)

13 DR. CHODOSH: Commander Bonner is going to
14 take over for me.

15 CDR BONNER: For question number 1, 10 yes,
16 zero no, zero abstain.

17 DR. CHODOSH: That makes moot the subpart of
18 the question.

19 The second question, does the
20 efficacy -- oh, everybody has to state their name
21 and vote. Thank you. Sorry. Beginner's misluck.

22 We're going to around the table of the

1 voting members -- David, we'll start with
2 you -- and you should state your name and state
3 your vote. You can state why you voted that way or
4 not, or if you have any other comments.

5 DR. YOO: David Yoo. Question 1, voted yes.
6 No other comments.

7 DR. GICHERU: Sidney Gicheru. Voted yes.
8 No further comments.

9 DR. KING: Tonya King. Voted yes, and I
10 believe it's for a subset of the patients.

11 DR. CHAMBERS: Dr. Chodosh, can I ask if you
12 think it's for a subset of patients, can you
13 explain what subset, for administrative record for
14 me, please?

15 DR. KING: Yes. Tonya King. I believe the
16 evidence for less than 25 baseline level is
17 convincing. That's what I based my vote on.

18 MS. DeLUCA: Jo Ellen DeLuca, patient
19 representative. Yes.

20 DR. HAWKINS: Randy Hawkins. Voted yes.

21 DR. ZLOTY: Peter Zloty. Voted yes. I
22 think this is an adjunctive medicine and would

1 suggest we talk about that with the labeling.

2 DR. CHODOSH: James Chodosh. Unqualified
3 yes.

4 DR. OLIVIER: Mildred Olivier. Yes, and I
5 also based it on ocular hypertensives who might
6 have pressures of 26 and below and open-angle
7 glaucoma.

8 DR. KWON: This is Young Kwon. Voted yes.
9 No other comments.

10 DR. EMERSON: Geoff Emerson. Voted yes. No
11 comments.

12 DR. CHODOSH: The comments that I heard from
13 Dr. King was that for patients, the evidence is
14 there for patients less than 25 millimeters of
15 mercury at starting pressure. I heard from Peter
16 Zloty that it should be considered an adjunctive
17 medication. And that was it, right?

18 Oh, Mildred Olivier, what was your comment?

19 DR. OLIVIER: Oh, just similar to
20 [inaudible - off mic].

21 DR. CHODOSH: Dr. Olivier echoed Tonya
22 King's comment.

1 Question 2, does the efficacy of netarsudil
2 ophthalmic solution demonstrated in the clinical
3 trials outweigh the safety risks identified for the
4 drug product? For the committee, are there
5 questions about wording, meaning, intent of this
6 question that you'd like to discuss or ask about?

7 (No response.)

8 DR. CHODOSH: I don't see any, so we're
9 going to proceed to vote. Does the efficacy of
10 netarsudil ophthalmic solution demonstrated in
11 clinical trials outweigh the safety risks
12 identified for the drug product?

13 (Voting.)

14 CDR BONNER: For vote question 2, 9 yes,
15 1 no, zero abstain.

16 DR. CHODOSH: We're going to go around the
17 table. Please state your name and your vote, and
18 elaborate as you would, please.

19 DR. YOO: This is David Yoo. For
20 question 2, I voted yes, and I believe that it
21 seems the efficacy does outweigh the adverse events
22 and side effects.

1 DR. GICHERU: Sid Gicheru. For question 2,
2 I voted yes. No further comments.

3 DR. KING: Tonya King. For question 2, I
4 voted no. Although the sponsor seemed to
5 adequately discuss the severity of the adverse
6 events, it seemed to me the high discontinuation
7 rate was still a concern.

8 MS. DeLUCA: Jo Ellen DeLuca. I voted yes.
9 It appeared to be a good thing for the patient.

10 DR. HAWKINS: Randy Hawkins. I voted yes.
11 I also had some concerns about the rate of adverse.

12 DR. ZLOTY: Peter Zloty. I voted yes. No
13 other comment.

14 DR. CHODOSH: James Chodosh. I voted yes.
15 I think when looked at in the context of other
16 available intraocular pressure-reducing medicines,
17 this to me fits right in the middle, so I had no
18 concern. Obviously, always concerns about side
19 effects, but not relative to the question.

20 DR. OLIVIER: Mildred Olivier, voted yes,
21 and very similar comments about adverse events with
22 other drugs on the market.

1 DR. KWON: This is Young Kwon. I voted yes.
2 Just a comment. Despite the higher rate of side
3 effects and discontinuation rate, this represents a
4 major advance in glaucoma therapy because it
5 represents the first in a new class of glaucoma
6 medications, and therefore my vote of yes.

7 DR. EMERSON: Geoff Emerson. I voted yes.
8 I agree this being the first in class makes it
9 valuable as well as the QD dosing, and I feel the
10 side effects are manageable.

11 DR. CHODOSH: So because we have a no vote,
12 I get to ask Dr. King, what additional trials would
13 you recommend?

14 DR. KING: I guess a safe answer to that
15 would be longer duration trials where maybe the
16 continued extent of follow-up could look more
17 closely at these side effects that have been
18 identified. Possibly even, as was discussed as
19 some of them ended up with further observation,
20 being determined not to be of high concern, that
21 going forward, a longer study could look at that
22 more closely.

1 DR. CHODOSH: I'd like to ask the FDA.
2 What's the status of post-approval monitoring for a
3 drug like this, and what might the FDA request or
4 what might we expect if we have a concern about
5 side effects of the drug?

6 What I heard from the committee, everyone
7 said yes, that the drug appears to be effective and
8 they recommended approval, but there were some
9 concerns about the side effects. So how does the
10 FDA address that after approval, assuming that they
11 did approve it?

12 DR. CHAMBERS: The FDA has a range of
13 possibilities. The agency can require premarket
14 additional trials to be done. It can require a
15 post-market safety trial, and there is no
16 limitation to what that trial can consist of; and
17 because it's a safety issue, it can be required of
18 the particular product.

19 The other option is to go to what is routine
20 monitoring. So even if we added nothing in
21 addition, routine monitoring would require the
22 company to collect all adverse events that are

1 seen, depending on whether they are serious and
2 unlabeled, reporting it as relatively quickly.
3 Even routine monitoring, even if it's not serious
4 or unexpected, comes in quarterly in the first two
5 years, then semiannually, and then annually
6 afterward for the life of the product that's
7 marketed.

8 Labels are not static. We continue to
9 follow what goes on, and labeling will change as we
10 learn new things. We do not anticipate that we
11 know everything about a particular product at the
12 time of approval.

13 DR. CHODOSH: Thank you for that
14 clarification.

15 This is the point in time at which I believe
16 we're supposed to discuss suggestions concerning
17 the proposed draft labeling of the product. Does
18 anyone on the panel have specific suggestions
19 relative to the documents you reviewed about
20 labeling? Marla, please state your name.

21 DR. SULTAN: Marla Sultan. I actually have
22 a question before a recommendation. I noticed on

1 the adverse reactions, on the first page of the
2 label, only conjunctival hyperemia at 54 percent is
3 listed. And I was just wondering if there should
4 be additions there for anything above 5 percent
5 that's been noted or if you just list the highest
6 adverse reaction.

7 DR. CHAMBERS: This is Wiley Chambers. Are
8 you talking about the highlights at the top?

9 DR. SULTAN: Yes, the highlights.

10 DR. CHAMBERS: The highlights are designed
11 just to give you a quick overview of the major
12 events that are there. They do not routinely list
13 all adverse events, but any of those of special
14 significance or of high frequency is what would get
15 listed there. There is no automatic limitation or
16 automatic listing.

17 DR. SULTAN: Am I'm allowed to make a
18 recommendation or just put something out there for
19 thought? Maybe corneal verticillata should be
20 highlighted there because although a lot of good
21 information about its resolution has been shared by
22 the company, it might be something that would be

1 shocking to the physician when it first appears.
2 So it would be important for them to -- as well as
3 the patient, should be mentioned for that to be
4 highlighted.

5 DR. CHODOSH: I have a follow-up to that
6 also because I was thinking about the verticillata.
7 The guidance in amiodarone deposition is, in my
8 experience, patients don't know they have it. It
9 doesn't seem to affect their vision, and I teach
10 ignore it. It's a sign that you can tell the
11 patient's on the drug even when they've forgotten.
12 So it benefits you.

13 The question then, though, is to what degree
14 does the labeling provide guidance to physicians
15 who are caring for patients on this drug to whether
16 or not they should ignore it, report it, or
17 consider stopping the medicine? Will there be
18 guidance in the labeling to the caring physician as
19 to what to do about it when they see it?

20 DR. CHAMBERS: This is Wiley Chambers. To
21 the extent that we believe the physician can be
22 further educated or should be further

1 educated -- not can be, but should be further
2 educated in what to do -- those are statements that
3 we would commonly put in a package insert.

4 There is a separate section toward the end
5 of things that we believe the physician should
6 communicate to patients, and those recommendations
7 are also fair game.

8 DR. CHODOSH: This is James Chodosh again.
9 Is it the intent of the FDA then to be specific and
10 tell physicians that the drug does not need to be
11 discontinued? Is it the intent of the FDA, if this
12 goes forward, to tell physicians they should report
13 it? I don't recall actually what I read with
14 regard in the document, but maybe you can help us.

15 DR. CHAMBERS: Wiley Chambers. One of the
16 purposes of this advisory committee meeting is to
17 get your feedback on what you think we should do.

18 DR. GICHERU: Sid Gicheru. As a practicing
19 physician, I think we should leave some of that to
20 the physician's clinical judgment, but I do think
21 mentioning corneal verticillata would be important.

22 DR. CHODOSH: As a corneal specialist, I

1 will be getting these patients in referral,
2 particularly if there's no guidance in the
3 labeling. This sort of comes back to the hyperemia
4 issue but also this corneal change, because it
5 looks to me like it gets better with time off the
6 medicine. But what I was wondering during the data
7 presentation is what will it look like after a year
8 or use, or 2 years, or 10 years of use, and how
9 will that change the cornea?

10 That was again part of my question of post-
11 approval monitoring and how would we know. I know
12 these things -- physicians frequently look for
13 things to write about, and so there will be case
14 series written about it probably and what comes of
15 it. But I'm interested in having it be a formal
16 process so that we can really learn about it.

17 My experience with amiodarone is that it
18 gets to a certain point and it stops. It's there,
19 but it doesn't continually worsen. The whole
20 cornea doesn't become one dense spiderweb of
21 verticillata, but in this case, we don't really
22 know what the long-term outcome is.

1 I'm just wondering -- I'm asking the
2 question, not answering your question,
3 Dr. Chambers, but asking the question, what should
4 we do? And I'm curious as to what the rest of the
5 committee thinks.

6 Should we recommend is that the FDA -- that
7 the physician need not worry about it? Should we
8 recommend to the FDA that the label say please
9 report it by some of the mechanisms that was done,
10 or should we recommend that patients be considered
11 to stop it? I don't hear a big enthusiasm for the
12 latest option, but I think they're all on the
13 table.

14 Randy.

15 DR. HAWKINS: Randy Hawkins. So for a
16 non-ophthalmologist, I'd probably defer to the
17 ophthalmologist specialist. Is this something
18 that's very, very well known, the entity? It seems
19 like it's a known entity, maybe not in this
20 category.

21 Some elevation that it's there and to keep
22 your eyes open so that there's knowledge about the

1 natural history of this occurrence in this new
2 drug. It'd be valuable.

3 DR. ZLOTY: Peter Zloty. I practice in a
4 somewhat rural/suburban setting, and I've been
5 called to the emergency room to see corneal
6 verticillata that they thought was acute herpetic
7 keratitis. I've had patients who've been treated
8 for weeks with topical Viroptic for corneal
9 verticillata.

10 It's not well known by the optometric
11 community, which will be prescribing this as they
12 do other glaucoma drops. It should be clear on the
13 package insert and on the bottle that this is a
14 known, perhaps inconsequential, however we want to
15 word it, non-visually significant side effect for
16 education purposes.

17 DR. CHODOSH: James Chodosh. I have a set
18 of slides in my slide set where a patient with
19 amiodarone deposition was repeatedly scraped for
20 their thought-to-be herpetic keratitis, inducing
21 scarring in one eye, and the answer was clearly in
22 the other eye. Two years of observation without

1 any antivirals elicited no herpetic episodes.

2 So I think you're right, and that's very
3 important. My question was really can we rely on
4 the amiodarone evidence with this new drug -- I'm a
5 big believer in the unintended consequences and the
6 things we don't know, we don't know. And I'm not
7 against this drug or this class of drugs at all,
8 and I'm not bothered by the depositions,
9 personally. But I'm just wondering, again, to say
10 it again, what should we tell the physician?

11 Since this is a new class of drugs, should
12 there be some slightly higher monitoring of this
13 particular aspect of it so that we have a good way
14 to learn what the natural history is, and then in
15 an amended insert, perhaps two years down the road,
16 it becomes a non-issue. That's really all I'm
17 after rather than have it be out there and be a
18 concern.

19 Yes, Dave?

20 DR. YOO: Dave Yoo. When you guys approved
21 the prostaglandins and then you found out that they
22 had lashes elongating and the pigmentation

1 alteration, what was the process then?

2 DR. CHAMBERS: Wiley Chambers. The
3 prostaglandin analogues originally all had post-
4 marketing study commitments to do at least five
5 years of monitoring to determine whether the
6 increase in pigmentation was of any particular
7 consequence.

8 DR. YOO: Then to me, that seems like --

9 DR. CHAMBERS: The labels then were all
10 modified after that point in time.

11 DR. YOO: If you're telling us that the
12 labels can be modified, that seems like that would
13 be reasonable to me, especially because we don't
14 know what the long-term consequence is going to be,
15 if it is going to become more severe in terms of
16 the verticillata.

17 DR. CHODOSH: James Chodosh. So another
18 question to the FDA, which is forgetting about
19 intraocular pressure reducing drugs, when the FDA
20 sees a new class of drugs, given by whatever means,
21 does that typically lead to some heightened level
22 of post-approval monitoring above baseline?

1 DR. CHAMBERS: This is Wiley Chambers. The
2 short answer is that every drug is evaluated on its
3 own individual basis. There is not an automatic
4 anything except that there is a requirement to
5 collect all adverse events that occur, and we
6 routinely monitor every approved product and both
7 proposed and company's proposed changes to the
8 labeling as we learn new information. It is an
9 ongoing process, and we can intend for it to
10 continue to be an ongoing process.

11 DR. CHODOSH: James Chodosh. Geoff, we're
12 going to come to you in a moment. I wanted to give
13 the applicant a chance -- I'd like to hear maybe
14 Dr. Lewis tell us what you think about this in
15 hearing that concerns --

16 I've been overruled.

17 (Laughter.)

18 DR. CHODOSH: And I've learned when the
19 government overrules you, you should listen.
20 Sorry.

21 Geoff, we're going to go to you.

22 DR. EMERSON: I'm looking at section 6.1,

1 which is the top of page 65 in our briefing
2 materials. And listed as the most common adverse
3 reactions, it lists instillation site pain. This
4 is put in by the applicant and not by the FDA. I'm
5 noticing that instillation site pain was actually
6 lower for the netarsudil at both the QD and the BID
7 dosing as compared to timolol, so I'm wondering if
8 it needs to be in there.

9 I guess what I'm wondering is it in the
10 label for timolol. If so, then I could see why
11 you'd have it in this label as well. But if it's
12 not in the timolol label, then I wouldn't see a
13 need to have that because I think a certain number
14 of our patients, the eye drop hurts no matter what
15 it is, kind of like muscles hurt after exercise.

16 DR. CHAMBERS: This is Wiley Chambers.
17 Again, if you have a particular recommendation,
18 just please make the recommendations, and we will
19 consider those as we go along.

20 DR. EMERSON: Geoff Emerson. My
21 recommendation is if instillation site pain is not
22 part of the timolol label, then I would strike that

1 from 6.1.

2 DR. CHODOSH: I would recommend that there
3 be something to the effect that use is recommended
4 at once a day and that using it more than that will
5 increase the incidence of side effects. I know of
6 physicians who go beyond the recommended doses of
7 drugs. Clearly with a beta blocker, that can have
8 an effect. Although this is not expected to have
9 systemic effects, we saw in the BID study that
10 there was a substantial increase in side effects.
11 So that would be my recommendation.

12 DR. KWON: Young Kwon. This is on a
13 different topic, but in the labeling section 11
14 under description, it says, "Netarsudil is
15 Rho-kinase and norepinephrine transporter
16 inhibitor."

17 That part has not been crossed out, as was
18 the case in section 1 earlier and on page 63. So
19 I'm not actually sure why it was crossed out in the
20 first place. I think it was the FDA's decision to
21 do that, but if you're going to do that, then you
22 should try to be consistent.

1 DR. CHODOSH: This is James Chodosh. I
2 think that was a source of confusion for many of
3 us. The mechanism is the mechanism, and put it or
4 don't, but it should just be consistent.

5 DR. KWON: I have a second comment. Young
6 Kwon again. In section 12.2 in pharmacokinetics,
7 it states, "In a clinical study of Rhopressa dosed
8 once daily in the morning," and the way the sponsor
9 has proposed for this drug to be used is in the
10 evening. So I was wondering if this was the wrong
11 wording or it was based on the earlier study where
12 they actually studied in the morning and at night
13 and decided to put that specifically. It was a
14 point of confusion for me.

15 DR. CHAMBERS: This is Wiley Chambers. As I
16 pointed out, whether things are on label or off
17 label is how it's written in the indications and/or
18 the directions section. There are other sections
19 in the label that just describe what was done. So
20 in this particular case, this is a description of
21 the trial that was done, just as the clinical trial
22 section is our descriptions of what was done, not

1 necessarily what is recommended.

2 DR. CHODOSH: We had a question from Marla.

3 DR. SULTAN: A comment --

4 DR. CHODOSH: Say your name.

5 DR. SULTAN: Marla Sultan. In section 6.1
6 in the adverse reaction section, I
7 noticed -- actually, I had made a similar comment
8 earlier -- there's a specific percent given for one
9 adverse reaction, and then the others were just
10 lumped in as greater than 10 percent or 5 to
11 10 percent. I think it might be helpful to have
12 the percentages from the trials listed more
13 specifically instead of just greater than
14 10 percent.

15 My second comment is in that section 12.3
16 under the pharmacokinetics, I don't know if this is
17 available, but it would be interesting to know the
18 peak concentration, which is not noted there.

19 DR. CHODOSH: Any reply?

20 DR. CHAMBERS: Again, we will go through all
21 various comments that you make during this, as well
22 as we will have further discussions with the

1 applicant as we make determinations on the
2 approvability of the application. We appreciate
3 any comments that you make at this point in time.

4 MS. DeLUCA: Jo Ellen DeLuca, patient
5 representative. I'd like to have something that
6 feels more positive. I think sometimes the
7 labeling gets to be so negative from the start that
8 it makes people look for something that's really
9 wrong. I think that that would denote the FDA
10 cares as well, which they do, and the public does
11 not always see that point.

12 DR. CHODOSH: I think I see all the
13 clinicians in the room sort of smiling because we
14 all have our patients who come and say, "This drug
15 you gave me can do all these horrible things," and
16 the labeling can really freak people out. I try
17 not to read it myself.

18 (Laughter.)

19 DR. CHODOSH: Young?

20 DR. KWON: Young Kwon here. In section 14
21 under clinical studies, this is more of a question.
22 It states on the third line there, "In the evening

1 had a mean baseline IOP of 21 to 22 millimeters of
2 mercury," and the next part is crossed out, "and
3 demonstrated up to 5 millimeters of mercury."

4 I was wondering how the 21 to 22 millimeters
5 of mercury was chosen, and I couldn't find a
6 specific reference for that. The graph that I was
7 looking at, at page 31 of the briefing material, at
8 least on the bottom graph, if anything, was between
9 22 and 23 as opposed to 21 and 22. So it's just a
10 small -- I was wondering where that range of
11 numbers came from.

12 DR. CHAMBERS: Wiley Chambers. We will go
13 back and check the numbers, but I suspect that this
14 was a reference to the subset of patients from the
15 two trials. And the reason for the range was that
16 there wasn't a single trial. It was more than one
17 trial.

18 We also generally tend to round some of
19 these numbers. We commonly measure intraocular
20 pressure on an instrument that is in 2-millimeter
21 increments. When we start getting into tenths of
22 millimeters, I certainly question the relevance of

1 being that specific.

2 DR. KWON: Just a reply to that, if you
3 refer to the entire population studied on, say,
4 302, then the entire population mean intraocular
5 pressure at the baseline was somewhere between 22
6 and 23 is what I was looking at.

7 DR. CHAMBERS: This is Wiley Chambers.
8 Again, we will go back and check numbers.

9 DR. CHODOSH: Marla, I believe you still had
10 another question.

11 DR. SULTAN: Yes. Just a question. I
12 noticed in 12.3 in the pharmacokinetics section
13 under metabolism --

14 DR. CHODOSH: A little bit louder, closer.

15 DR. SULTAN: I'm sorry. Marla Sultan. Just
16 noticing in 12.3 in pharmacokinetics, in the
17 metabolism section, there are a couple of comments
18 about in vitro metabolism. If there is in vivo
19 information available, it might be helpful to put
20 that there. Also, it speaks to exposure, what
21 happens, how the active metabolite is produced, but
22 it doesn't speak to what happens to the active

1 metabolite. That might be helpful to add.

2 DR. CHAMBERS: This is Wiley Chambers.
3 We'll go back and consider it, but if it doesn't
4 have an impact on the physician's decisions to use
5 or not use the product or the patient's decision to
6 use or not use the product, we don't always include
7 it.

8 DR. CHODOSH: Mildred?

9 DR. OLIVIER: Mildred Olivier. I don't know
10 if this is the -- does this class of medication
11 have a different color top to it, or do we know?

12 DR. CHAMBERS: This is Wiley Chambers. So
13 for those of you that do not know, the American
14 Academy of Ophthalmology has a recommended color
15 cap for a number of different ophthalmic
16 medications, not all medications. Most of the
17 IOP-lowering medications do have different caps to
18 help minimize confusion between them.

19 The process for determining colors on caps
20 are requests made to the American Academy of
21 Ophthalmology, who then makes recommendations and
22 changes -- well, there is a committee that goes and

1 reviews that and makes recommendations to the
2 board. And ultimately, if the academy decides to
3 change its recommendations on cap colors, the FDA
4 has generally been following those recommendations.

5 DR. CHODOSH: This is James Chodosh. We're
6 going to run out of colors pretty quick, I think.
7 If you guys keep inventing new classes of drugs, we
8 have a big problem. But I'm saying that
9 facetiously.

10 We're going to go back to Marla, who I think
11 I had another follow-up.

12 DR. SULTAN: It was just a follow-on. When
13 I asked about the metabolite, possibly adding that
14 information about excretion or where it goes, it
15 just relates to the fact that this is not studied
16 in pregnant or nursing women. A physician may
17 think about things differently depending upon where
18 the metabolite's going, how it's being excreted,
19 and things that we haven't even discussed here
20 today or don't come up in the general population.
21 That's why I thought that might be value to that
22 type of addition, but her color cap is much more

1 important.

2 DR. CHAMBERS: This is Wiley Chambers.

3 Thank you very much.

4 DR. CHODOSH: Does the committee have any
5 other questions for the FDA?

6 DR. KWON: Young Kwon here. One more
7 question. As a follow-up to the pregnant women,
8 would there be any statement on the labeling on use
9 on the pediatric population, under the age of 18?

10 DR. CHAMBERS: At the present time, the
11 expectation is that because the product has not
12 been studied in a significant number of patients
13 under the age of 18, that the label is likely to
14 say that safety and efficacy has not been
15 established. So not either saying you can use it
16 or can't use it but say the state of the fact, that
17 it hasn't been established.

18 As a general policy, the agency has
19 encouraged studies to be done in pediatric patients
20 unless the product qualifies for one of the various
21 waivers. One of the waivers is if the product does
22 not provide a meaningful benefit and is not likely

1 to be used in a substantial number of children.
2 Glaucoma does not have a large pediatric
3 population. Don't take that as they're not as
4 significant and there are not patients; there
5 absolutely are. But it is a relatively low
6 population.

7 You saw in the applicant's statements, the
8 agency encouraged original trials, and it
9 encouraged the applicant to attempt to study it in
10 pediatric patients. And the agency will continue
11 to encourage the applicant to study it in pediatric
12 populations so that the labeling can be informed by
13 that. But I'm not sure that there is a particular
14 mechanism to require that as this point in time.

15 DR. CHODOSH: Thank you. Are there any
16 comments before we adjourn from the FDA itself,
17 outside of what we've discussed?

18 (No response.)

19 DR. CHAMBERS: Not seeing anything else from
20 my colleagues, I would like to take the opportunity
21 to thank all of you for your time and consideration
22 of this application and the time for coming to the

1 meeting. And I would also like to thank the
2 applicant for bringing forward the application.

3 **Adjournment**

4 DR. CHODOSH: I'd also like to thank my
5 colleagues on the committee for doing such a great
6 job and for taking the time to do this with me, and
7 those folks from the FDA did a great job, and also
8 thank the applicant for what I thought was a very
9 clear presentation.

10 We're going to adjourn. I'm supposed to
11 tell the panel members to take all your personal
12 belongings with you as the room is cleaned at the
13 end of today, and they may not be here if you
14 forget them. If you wish materials to be disposed
15 of, you can leave them on the table, and they will
16 be shredded carefully, and if you would drop your
17 name badge off at the registration table so that
18 they can be recycled. We will now consider this
19 meeting adjourned. Thank you very much.

20 (Whereupon, at 1:23 p.m., the meeting was
21 adjourned.)

22