

1 FOOD AND DRUG ADMINISTRATION

2 CENTER FOR DRUG EVALUATION

3
4
5 JOINT MEETING OF THE ANESTHETIC AND ANALGESIC DRUG
6 PRODUCTS (AADPAC) AND THE DRUG SAFETY AND
7 RISK MANAGEMENT (DSaRM) ADVISORY COMMITTEES

8
9
10
11 Thursday, September 14, 2017

12 8:02 a.m. to 11:59 a.m.

13
14
15
16
17 Tommy Douglas Conference Center

18 10000 New Hampshire Avenue

19 Silver Spring, Maryland

20

21

22

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Stephanie Begansky, PharmD**

4 Division of Advisory Committee and Consultant

5 Management

6 Office of Executive Programs, CDER, FDA

7

8 **ANESTHETIC AND ANALGESIC DRUG PRODUCTS ADVISORY**

9 **COMMITTEE MEMBERS (Voting)**

10 **Brian T. Bateman, MD, MSc**

11 *(Acting Chairperson)*

12 Associate Professor

13 Harvard Medical School

14 Chief, Division of Obstetric Anesthesia

15 Department of Anesthesiology

16 Division of Pharmacoepidemiology and

17 Pharmacoeconomics

18 Department of Medicine

19 Brigham and Women's Hospital

20 Boston, Massachusetts

21

22

1 **Jennifer G. Higgins, PhD**

2 *(Consumer Representative)*

3 Director of Research & Policy

4 Association of Developmental Disabilities Providers

5 (ADDP) Framingham, Massachusetts

6
7 **Ronald S. Litman, DO**

8 Professor of Anesthesiology & Pediatrics

9 Perelman School of Medicine

10 University of Pennsylvania

11 Attending Anesthesiologist

12 The Children's Hospital of Philadelphia

13 Medical Director, Institute for Safe Medication

14 Practices

15 Philadelphia, Pennsylvania

16
17 **Mary Ellen McCann, MD, MPH**

18 Associate Professor of Anesthesia

19 Harvard Medical School

20 Senior Associate in Anesthesia

21 Boston Children's Hospital

22 Boston, Massachusetts

1 **Abigail B. Shoben, PhD**

2 Associate Professor

3 Division of Biostatistics

4 College of Public Health

5 The Ohio State University

6 Columbus, Ohio

7

8 **Kevin L. Zacharoff, MD, FACIP, FACPE, FAAP**

9 Faculty and Clinical Instructor

10 Pain and Medical Ethics

11 State University of New York Stony Brook School of

12 Medicine

13 Stony Brook, New York

14 Ethics Committee Chair

15 St. Catherine of Siena Medical Center

16 Smithtown, New York

17

18

19

20

21

22

1 **DRUG SAFETY AND RISK MANAGEMENT ADVISORY COMMITTEE**

2 **MEMBERS (Voting)**

3 **Laurel A. Habel, MPH, PhD**

4 Associate Director, Cancer Research

5 Kaiser Permanente Northern California

6 Division of Research

7 Oakland, California

8

9 **Suzanne B. Robotti**

10 *(Consumer Representative)*

11 Executive Director

12 DES Action USA

13 Founder and President

14 MedShadow Foundation

15 New York, New York

16

17

18

19

20

21

22

1 **Anne-Michelle Ruha, MD, FACMT**

2 Director, Medical Toxicology Fellowship Program

3 Department of Medical Toxicology

4 Banner University Medical Center

5 Clinical Associate Professor of Emergency Medicine

6 University of Arizona College of Medicine

7 Phoenix, Arizona

8

9 **Christopher H. Schmid, PhD**

10 Professor of Biostatistics

11 Center for Evidence Based Medicine

12 Department of Biostatistics

13 Brown University School of Public Health

14 Providence, Rhode Island

15

16

17

18

19

20

21

22

1 **Terri L. Warholak, PhD, RPh, FAPhA**

2 Assistant Professor

3 Division of Health Promotion Sciences

4 College of Public Health

5 Adjunct Clinical Instructor

6 College of Nursing

7 Associate Professor with Tenure

8 Department of Pharmacy Practice and Science

9 College of Pharmacy

10 University of Arizona

11 Tucson, Arizona

12

13 **DRUG SAFETY AND RISK MANAGEMENT ADVISORY COMMITTEE**

14 **MEMBER (Non-Voting)**

15 **Linda Scarazzini, MD, RPh**

16 *(Industry Representative)*

17 Vice President

18 Pharmacovigilance and Patient Safety

19 Abbvie

20 North Chicago, Illinois

21

22

1 **TEMPORARY MEMBERS (Voting)**

2 **Robert Dracker, MD, MHA, MBA**

3 Director, Summerwood Pediatrics

4 Infusacare Medical Services

5 Liverpool, New York

6

7 **Charles W. Emala, Sr., MS, MD**

8 Professor and Vice-Chair for Research

9 Department of Anesthesiology

10 Columbia University College of Physicians &

11 Surgeons

12 New York, New York

13

14 **Randall Flick, MD, MPH**

15 Director, Mayo Clinic Children's Center

16 Associate Professor of Anesthesiology & Pediatrics

17 Mayo Clinic

18 Rochester, Minnesota

19

20

21

22

1 **William L. Greene, PharmD**

2 Chief Pharmaceutical Officer

3 Department of Pharmaceutical Sciences

4 St. Jude Children's Research Hospital

5 Professor (Affiliated)

6 Department of Clinical Pharmacy

7 University of Tennessee College of Pharmacy

8 Memphis, Tennessee

9

10 **Peter L. Havens, MD, MS**

11 Director, Pediatric HIV Program

12 Children's hospital of Wisconsin

13 Professor of Pediatrics

14 Division of Infectious Disease

15 Medical College of Wisconsin

16 Milwaukee, Wisconsin

17

18

19

20

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Stephen W. Patrick, MD, MPH, MS, FAAP

Assistant Professor of Pediatrics and Health Policy
Division of Neonatology
Department of Pediatrics
Vanderbilt University School of Medicine
Nashville, Tennessee

Natalie C. Portis

(Patient Representative)
Oakland, California

Kelly Wade, MD, PhD, MSCE

Attending Neonatologist
Children's Hospital of Philadelphia Newborn Care
Pennsylvania Hospital
Associate Professor of Clinical Pediatrics
Perelman School of Medicine
University of Pennsylvania
Philadelphia, Pennsylvania

1 **FDA PARTICIPANTS (Non-Voting)**

2 **Sharon Hertz, MD**

3 Director

4 Division of Anesthesia, Analgesia and Addiction

5 Products (DAAAP)

6 Office of Drug Evaluation II (ODE-II)

7 Office of New Drugs (OND), CDER, FDA

8

9 **Judy Staffa, PhD, RPh**

10 Associate Director for Public Health Initiatives

11 Office of Surveillance and Epidemiology

12 CDER, FDA

13

14

15

16

17

18

19

20

21

22

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Brian Bateman, MD, MSc	14
5	Conflict of Interest Statement	
6	Stephanie Begansky, PharmD	19
7	FDA Opening Remarks	
8	Sharon Hertz, MD	23
9	Sponsor Presentations - Purdue Pharma, L.P.	
10	Introduction and Pediatric Study Context	
11	Craig Landau, MD	28
12	Regulatory History	
13	Utilization of Opioids in Pediatric Patients	
14	Richard Fanelli, PhD	34
15	Pediatric Clinical Trial	
16	Stacy Baldrige, MSN, RN	38
17	Clarifying Questions	60
18		
19		
20		
21		
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	FDA Presentations	
4	Conclusions from FDA's September 15-16, 2016	
5	Joint Meeting of the AADPAC-DSaRM and	
6	Pediatric Advisory Committee (PAC) and the	
7	Latest Agency Thinking on Studying	
8	Opioids in Children	
9	Sharon Hertz, MD	75
10	FDA Clinical Review of Study 3031 and	
11	Discussion	
12	Robert Levin, MD	94
13	Pediatric Pharmacokinetic Assessment of	
14	Butrans in Study 3031	
15	Gopichand Gottipati, PhD	99
16	Clarifying Questions	105
17	Open Public Hearing	117
18	Clarifying Questions (continued)	123
19	Charge to the Committee	
20	Sharon Hertz, MD	149
21	Questions to the Committee and Discussion	150
22	Adjournment	183

P R O C E E D I N G S

(8:02 a.m.)

Call to Order

Introduction of Committee

DR. BATEMAN: Good morning. I'd first like to remind everyone to please silence your cell phones, smartphones, or any other devices if you've not already done so. I would also like to identify the FDA press contact, Michael Felderbaum. If you're present, please stand.

My name is Brian Bateman. I'm the acting chairperson for today's meeting. I will now call the joint meeting of the Anesthetic and Analgesic Drug Products Advisory Committee and the Drug Safety and Risk Management Advisory Committee to order. We'll start by going around the table and introducing ourselves. We'll start with the FDA to my left and go around the table.

DR. HERTZ: Good morning. Sharon Hertz, director for the Division of Anesthesia, Analgesic, and Addiction Products.

DR. STAFFA: Good morning. I'm Judy Staffa.

1 I'm the associate director for public health
2 initiatives in the Office of Surveillance and
3 Epidemiology in CDER at FDA.

4 DR. FLICK: Randall Flick, pediatric
5 anesthesia, critical care, Mayo Clinic.

6 DR. PATRICK: Stephen Patrick, neonatology,
7 Vanderbilt.

8 DR. SCHMID: Chris Schmid, biostatistics,
9 Brown University.

10 DR. LITMAN: Good morning. Ron Litman. I'm
11 a pediatric anesthesiologist at Children's Hospital
12 of Philadelphia and the medical director of the
13 Institute for Safe Medication Practice.

14 DR. DRACKER: Bob Dracker, member of the
15 Pediatric Advisory Committee of the FDA,
16 pediatrics, hematology, oncology, and blood
17 banking.

18 DR. WARHOLAK: Terri Warholak, University of
19 Arizona College of Pharmacy. My specialty is
20 quality and safety.

21 DR. WADE: Kelly Wade, neonatologist for
22 Children's Hospital of Philadelphia and member of

1 the Pediatric Advisory Committee for the FDA.

2 DR. EMALA: Charles Emala, professor of
3 anesthesiology and vice-chair for research at
4 Columbia University in New York.

5 LCDR BEGANSKY: Stephanie Begansky. I'm the
6 DFO for today's meeting.

7 DR. BATEMAN: Brian Bateman. I'm associate
8 professor of anesthesia at Brigham and Women's
9 Hospital in Harvard Medical School.

10 DR. SHOBNEN: Abby Shoben, biostatistics, the
11 Ohio State University.

12 DR. HABEL: Laurel Habel, cancer
13 epidemiologist, Kaiser Permanente Northern
14 California.

15 DR. ZACHAROFF: Good morning. Kevin
16 Zacharoff, expertise in anesthesiology, pain
17 medicine, and pediatric anesthesiology at the Stony
18 Brook School of Medicine.

19 DR. RUHA: Hi. I'm Michelle Ruha. I'm a
20 medical toxicologist at the University of Arizona
21 College of Medicine, Phoenix.

22 DR. MCCANN: Hi. I'm Mary Ellen McCann.

1 I'm associate professor of anesthesia at Harvard
2 Medical School in Boston Children's Hospital.

3 DR. HIGGINS: Jennifer Higgins, the AADPAC
4 consumer representative.

5 MS. ROBOTTI: Hi. Suzanne Robotti. I am
6 the consumer rep on drug safety and risk management
7 and the executive director of MedShadow and DES
8 Action.

9 MS. PORTIS: I'm Natalie Compagni Portis.
10 I'm the patient representative this morning.

11 DR. GREENE: Good morning. I'm Bill Greene.
12 I'm chief pharmaceutical officer and member of the
13 Department of Pharmaceutical Sciences at St. Jude
14 Children's Research Hospital.

15 DR. HAVENS: Peter Havens, pediatric
16 infectious diseases at the Medical College of
17 Wisconsin and Children's Hospital Wisconsin in
18 Milwaukee and a member of the Pediatric Advisory
19 Committee of the FDA.

20 DR. SCARAZZINI: Good morning. Linda
21 Scarazzini, head of pharmacovigilance and patient
22 safety at AbbVie and the industry rep for drug

1 safety and risk management.

2 DR. BATEMAN: We have Dr. Cunningham calling
3 in by phone. Dr. Cunningham, can you introduce
4 yourself?

5 (No response.)

6 DR. BATEMAN: We'll come back.

7 For topics such as those being discussed at
8 today's meeting, there are often a variety of
9 opinions, some of which are quite strongly held.
10 Our goal is that today's meeting will be a fair and
11 open forum for discussion of these issues and that
12 individuals can express their views without
13 interruption. Thus, as a gentle reminder,
14 individuals will be allowed to speak into the
15 record only if recognized by the chairperson. We
16 look forward to a productive meeting.

17 In the spirit of the Federal Advisory
18 Committee Act and the Government in Sunshine Act,
19 we ask that the advisory committee members take
20 care that their conversations about the topic at
21 hand take place in the open forum of the meeting.

22 We are aware that members of the media are

1 anxious to speak with the FDA about these
2 proceedings. However, the FDA will refrain from
3 discussing the details of this meeting with the
4 media until its conclusion. Also, the committee is
5 reminded to please refrain from discussing the
6 meeting topics during breaks. Thank you.

7 I'll now pass it to Lieutenant Commander
8 Stephanie Begansky, who will read the conflicts of
9 interest statement.

10 **Conflict of Interest Statement**

11 LCDR BEGANSKY: Thank you.

12 The Food and Drug Administration is
13 convening today's joint meeting of the Anesthetic
14 and Analgesic Drug Products Advisory Committee and
15 the Drug Safety and Risk Management Advisory
16 Committee under the authority of the Federal
17 Advisory Committee Act of 1972.

18 With the exception of the industry
19 representative, all members and temporary voting
20 members of the committees are special government
21 employees or regular federal employees from other
22 agencies and are subject to federal conflict of

1 interest laws and regulations.

2 The following information on the status of
3 these committees' compliance with the federal
4 ethics and conflict of interest laws, covered by
5 but not limited to those found at 18 U.S.C. Section
6 208, is being provided to participants in today's
7 meeting and to the public.

8 FDA has determined that members and
9 temporary voting members of these committees are in
10 compliance with federal ethics and conflict of
11 interest laws.

12 Under 18 U.S.C., Section 208, Congress has
13 authorized FDA to grant waivers to special
14 government employees and regular federal employees
15 who have potential financial conflicts when it is
16 determined that the agency's need for a particular
17 individual's services outweighs his or her
18 potential financial conflict of interest or when
19 the interest of a regular federal employee is not
20 so substantial as to be deemed likely to affect the
21 integrity of the services which the government may
22 expect from the employee.

1 Related to the discussions of today's
2 meeting, members and temporary voting members of
3 these committees have been screened for potential
4 financial conflicts of interest of their own, as
5 well as those imputed to them, including those of
6 their spouses, minor children, and for purposes of
7 18 U.S.C. Section 208, their employers.

8 These interests may include investments,
9 consulting, expert witness testimony, contracts,
10 grants, CRADAs, teaching, speaking, writing,
11 patents and royalties, and primary employment.

12 Today's agenda involves discussion of
13 supplemental new drug application 021306 for
14 Butrans, buprenorphine transdermal system,
15 submitted by Purdue Pharma, evaluating Butrans in
16 pediatric patients, ages 7 through 16 years, for
17 management of pain severe enough to require daily,
18 around-the-clock, long-term opioid treatment and
19 for which alternative treatment options are
20 inadequate. The committees will be asked to
21 discuss the findings of the clinical study of
22 Butrans conducted in pediatric patients and whether

1 they support additional labeling.

2 This is a particular matters meeting during
3 which specific matters related to Purdue's
4 supplemental NDA will be discussed. Based on the
5 agenda for today's meeting and all financial
6 interests reported by the committee members and
7 temporary voting members, no conflict of interest
8 waivers have been issued in connection with this
9 meeting.

10 To ensure transparency, we encourage all
11 standing committee members and temporary voting
12 members to disclose any public statements that they
13 have made concerning the topic at issue.

14 With respect to FDA's invited industry
15 representative, we would like to disclose that
16 Dr. Linda Scarazzini is participating in this
17 meeting as a non-voting industry representative,
18 acting on behalf of regulated industry.

19 Dr. Scarazzini's role at this meeting is to
20 represent industry in general and not any
21 particular company. Dr. Scarazzini is employed by
22 AbbVie.

1 We would like to remind members and
2 temporary voting members that if the discussions
3 involve any other products or firms not already on
4 the agenda for which the FDA participant has a
5 personal or imputed financial interest, the
6 participants need to exclude themselves from such
7 involvement, and their exclusion will be noted for
8 the record.

9 FDA encourages all other participants to
10 advise the committees of any financial
11 relationships that they may have with the firm at
12 issue. Thank you.

13 DR. BATEMAN: So we will now proceed with
14 the FDA's introductory remarks from Dr. Sharon
15 Hertz.

16 **FDA Opening Remarks - Sharon Hertz**

17 DR. HERTZ: Good morning, Dr. Bateman,
18 members of the Anesthesia and Analgesic Drugs
19 Advisory Committee, members of the Drug Safety and
20 Risk Management Advisory committee, and invited AC
21 members as well as invited guests. Thank you for
22 joining us today.

1 This morning, we'll be discussing a
2 supplemental application from Purdue Pharma,
3 describing a study in pediatric patients with the
4 product Butrans, which is a transdermal system or
5 patch containing buprenorphine.

6 It's an extended-release formulation, and as
7 you may know, buprenorphine is a partial agonist.
8 In adults, it's indicated for the management of
9 pain severe enough to require daily, around-the-
10 clock, long-term opioid treatment for which
11 alternative treatment options are inadequate.

12 At the time of Butrans's initial approval in
13 2010, a postmarketing requirement was issued
14 according to the Pediatric Research Equity Act or
15 PREA, and this describes a set of requirements for
16 pediatric studies.

17 For the long-acting and extended-release
18 class of opioid analgesics, the pediatric study
19 requirement consists of a safety and PK
20 pharmacokinetic study in patients 7 to 17 years.
21 Following presentations by the applicant and FDA,
22 we will ask you to discuss any concerns you have

1 regarding the data from the evaluation of Butrans
2 in children and whether the data from the study is
3 appropriate for inclusion in the pediatric section
4 of the labeling.

5 The applicant has not requested an
6 indication based on the limited data available. In
7 cases where pediatric studies do not lead to an
8 indication, it's common to put language into the
9 drug label that states efficacy and safety have not
10 been demonstrated as well as any particular safety
11 concerns that may have been uncovered during the
12 study.

13 As described in the opioid action plan
14 released last February, a year ago February, FDA
15 feels strongly that all applications related to the
16 use of opioids in pediatric patients are presented
17 before an advisory committee in order to gain input
18 from our committee members as well as the public
19 for the application.

20 Children experience pain in a number of
21 settings, and the imperative to relieve their
22 suffering is no less great than for adults. Most

1 of the analgesic products that are currently used
2 to manage pain in children today, both opioid and
3 non-opioid, do not have pediatric efficacy, safety,
4 or dosing instructions because they have not been
5 studied in children.

6 The serious public health problem associated
7 with the misuse and abuse of prescription opioid
8 analgesics and the problems of addiction, overdose,
9 and death must always be kept in mind when
10 discussing opioid analgesics. However, it's
11 critically important to address the medical needs
12 of children, which includes providing clinicians
13 age-appropriate information about the safety,
14 efficacy, and pharmacokinetics of the products they
15 use.

16 Today, you're going to hear presentations
17 from the applicant as they describe their program,
18 then you'll hear presentations from FDA, including
19 some summaries of where we've been up until now
20 more recently with pediatric opioid analgesic
21 development.

22 We're asking for your expertise, your

1 experience, your best insights in order to help us
2 make a reasonable and responsible decision
3 regarding this application. Your advice and
4 recommendations are always essential in assisting
5 us with addressing this complex and critical public
6 health concern.

7 We're grateful that you have agreed to join
8 us for this important discussion and look forward
9 to an interesting and productive discussion. Thank
10 you.

11 DR. BATEMAN: We'll now move on to the
12 sponsor's presentation. Both the Food and Drug
13 Administration and the public believe in a
14 transparent process for information-gathering and
15 decision-making. To ensure such transparency at
16 the advisory committee meeting, FDA believes it's
17 important to understand the context of an
18 individual's presentation.

19 For this reason, FDA encourages all
20 participants, including the applicant's non-
21 employee presenters, to advise the committee of any
22 financial relationship they may have with the

1 applicant, such as consulting fees, travel
2 expenses, honoraria, and interest in the sponsor,
3 including equity interests, and those based upon
4 the outcome of the meeting.

5 Likewise, FDA encourages you, at the
6 beginning of your presentation, to advise the
7 committee if you do not have any such financial
8 relationships.

9 If you choose not to address this issue of
10 financial relationships at the beginning of your
11 presentation, it will not preclude you from
12 speaking. We'll now proceed with Purdue Pharma's
13 presentations.

14 **Sponsor Presentation - Craig Landau**

15 DR. LANDAU: Mr. Chairman, members of the
16 combined committees, representatives from the
17 agency, and all others, good morning. My name is
18 Craig Landau. I'm the president and CEO of Purdue.
19 I want to thank everyone involved for the
20 opportunity to be here and to speak about Butrans,
21 and specifically the study designed to meet the
22 PREA requirements, and our combined goal to develop

1 high-quality data to guide the safe use of these
2 products in all patients, including pediatric
3 patients.

4 I know a number of you out in the audience.
5 I'm an anesthesiologist and pain practitioner
6 myself. I practiced in a variety of settings.
7 I've treated many patients in a civilian setting,
8 in both academia and private practice, and also for
9 15 years with the United States Army Medical Corps.

10 In parallel to most of that, I have spent
11 20 years in the pharmaceutical industry, most of
12 which has been in R&D, for the first 15 years in
13 fact in R&D. And I've developed or helped to
14 develop a number of analgesic medications that are
15 available today on the U.S. and other markets, one
16 of which is Butrans, so it's near and dear to my
17 heart.

18 Specifically, I was the lead clinician for
19 Butrans in its development here up to and including
20 the approval in the United States. So it's in this
21 context and with this experience, and given the
22 last two and a half months of my career as

1 president of the U.S. Purdue organization, that I
2 want to be here personally to assure the combined
3 committees and the agencies of three important
4 commitments that we're making as a company.

5 First and foremost, as Dr. Hertz mentioned
6 in her opening remarks, we're not seeking an
7 indication for Butrans in pediatric patients.

8 Second, we have not and we do not promote opioids
9 for their use in children. And regardless of the
10 outcome of this committee and this meeting today,
11 we don't plan to promote the use of Butrans in
12 pediatric patients.

13 Our only goal is to develop high-quality
14 data, in this case to meet PREA requirements, to
15 guide the safe and appropriate use of our products
16 in all patients, adults and in pediatrics, and it's
17 with pediatrics in mind that we're here to discuss
18 really PREA requirements.

19 So for those of you who are less familiar
20 than others, in 2003, as Dr. Hertz mentioned,
21 Congress enacted PREA, the Pediatric Research
22 Equity Act, and it was really intended to address a

1 very widely-accepted gap in pediatric data in
2 product monographs here in the United States.

3 Most drugs are approved, or studied and
4 approved, for use in adults. But we understand,
5 most of us, especially those with kids, clinicians,
6 while they act like adults at times, kids are not
7 small adults physiologically, pharmacokinetically,
8 and even in response.

9 So they demand that data be generated to
10 guide their safe and effective use if a physician,
11 a treating physician, determines that the benefit
12 of using a medicine outweighs the risk of using
13 that medicine in an individual patient.

14 So it's with this in mind that the
15 government, in this case the agency, requires
16 sponsors with a new drug application to conduct
17 certain studies. How does this apply to Butrans?

18 In 2010, after a number of years in
19 development, Butrans was approved here in the
20 United States by FDA, and it came with a mandatory
21 requirement through PREA to conduct a safety and
22 pharmacokinetic study in subjects ages 7 to 16.

1 On the efficacy side, as you will hear in a
2 presentation from the agency, it was determined at
3 that time that efficacy could be extrapolated from
4 the pivotal studies conducted in the adult
5 population.

6 Now, we ran and designed study
7 BUP3031 -- we're going to discuss it today -- and
8 it was designed in concert with the comments from
9 the agency, both the analgesic division, but also
10 the Pediatric Review Committee. Those comments
11 were incorporated. We ran the study. It sought to
12 enroll 40 some-odd subjects, which at the time was
13 the standards, I'd say, for these types of studies.

14 Now thankfully, there are relatively few
15 pediatric patients with pain severe enough to
16 require treatment with opioids around the clock,
17 but these patients definitely do exist and they
18 need to be cared for. They need to be treated.
19 They need to be treated appropriately.

20 So it's for these few pediatric patients who
21 have exhausted all other treatment mechanisms or
22 treatment means, and from whom their treating

1 physicians believe the benefits of a certain
2 medicine, including an opioid but not limited to
3 opioids, outweighs the risks, that we need to
4 generate high-quality data to guide their safe use,
5 and that was the purpose of the BUP3031 study.

6 After my introduction, which I'm about to
7 wrap up, you're going to hear from two other people
8 from our company, first from Dr. Richard Fanelli,
9 who heads up our regulatory affairs group. He's
10 going to talk in a little bit more detail about the
11 regulatory history, the program itself, the
12 pediatric program, and specifically about the
13 utilization of opioids in kids.

14 Stacy Baldrige, who's a pediatric nurse and
15 pediatric critical care nurse, also leads our
16 pediatric research programs in house, is going to
17 come up and actually talk about the design,
18 conduct, and results from the study.

19 So we have a full morning and a tight time
20 frame. We appreciate the time, looking forward to
21 the discussion, and thanks again, Mr. Chairman and
22 committee. Thank you.

1 **Sponsor Presentation - Richard Fanelli**

2 DR. FANELLI: Good morning. As Craig said,
3 I'm Richard Fanelli, the head of regulatory affairs
4 at Purdue. This morning I will give a brief
5 description of the regulatory background for our
6 pediatric program with Butrans, and then discuss
7 the utilization of opioids in pediatric patients.

8 Butrans transdermal system contains
9 buprenorphine, a partial mu opiate receptor
10 agonist. Butrans is a Schedule III opiate
11 analgesic, and the patch delivers buprenorphine
12 over 7 days at dosage strengths from 5 to
13 20 micrograms per hour.

14 Butrans is approved for the management of
15 pain severe enough to require daily, around-the-
16 clock long-term treatment for which alternative
17 treatments are inadequate. The Butrans full
18 prescribing information, as with other extended-
19 release long-acting opioids, includes warnings
20 about addiction, abuse, and misuse, respiratory
21 depression, accidental exposure, neonatal opiate
22 withdrawal syndrome, and the risk of concomitant

1 use with benzodiazepines and other CNS depressants.

2 The Butrans label also includes warnings not
3 to exceed a dose of 20 micrograms per hour due to
4 the risk of QTc interval prolongation that was
5 observed in a study of healthy adults at a dose of
6 40 micrograms per hour, twice the maximum approved
7 dose. These characteristics and warnings have
8 implications for the design of and enrollment in a
9 pediatric trial, as you will hear about shortly.

10 The Butrans patch was approved by the FDA in
11 June of 2010. This transdermal buprenorphine
12 formulation has been approved in marketing in over
13 40 countries worldwide. With the approval in the
14 U.S., a postmarketing program under PREA was
15 required to study pediatric patients aged 7 to 16.

16 PREA was initially considering more
17 extensive studies to satisfy written requests from
18 FDA under the Best Pharmaceuticals for Children Act
19 to study pediatric patients to treat acute pain,
20 chronic pain, and neonatal abstinence syndrome.

21 We subsequently determined that given the
22 challenges of these pediatric research for these

1 conditions, these studies were not feasible, and we
2 would only conduct a pediatric study to satisfy the
3 PREA requirement.

4 In December of 2010, a revised protocol,
5 BUP3031, was submitted following discussions with
6 guidance from FDA. Due to the difficulties in
7 recruitment, Purdue requested and FDA granted a
8 deferral to complete the study.

9 In December of 2016, the final study report
10 from this pediatric trial was submitted as part of
11 a supplemental new drug application, which included
12 revised labeling, to include the information from
13 this trial in the pediatric portion of the special
14 population section of the Butrans label. With this
15 submission, Purdue met its postmarketing commitment
16 under PREA.

17 Currently, there is limited use with
18 extended-release opioid analgesics in children and
19 very limited use of Butrans in this population.

20 As reviewed by today's committees, in
21 addition to the Pediatric Advisory Committee during
22 a meeting in September of 2016 to discuss clinical

1 trials of opioid analgesics in pediatric patients,
2 and again included in your briefing materials for
3 this meeting, FDA's drug utilization review of
4 these data demonstrate in the year 2015,
5 approximately 2 and a half million pediatric
6 patients were dispensed prescriptions for opiate
7 medications. Of these, 2 million were aged 7 to
8 16, a range included in our Butrans pediatric
9 clinical trial.

10 The number of dispensed extended-release
11 opioids in this group was less than 0.3 percent of
12 this total, about 6,000 prescriptions, with 75 of
13 these for the Butrans patch in 2015.

14 As a result of the limited number of
15 pediatric subjects available for study, our
16 pediatric open-label study, BUP3031, only targeted
17 and enrolled a small number of patients. Because
18 of this, we are not requesting a pediatric
19 indication.

20 Instead, we are proposing to add the
21 pediatric patient experience from this trial, for
22 the 12 to 16 age group, to the label to inform

1 prescribers, as an update to section 8.4 of the
2 Butrans full prescribing information, of the
3 pediatric use portion of the use in special
4 populations, as described in FDA's 2013 draft
5 guidance for the inclusion of pediatric information
6 in labeling.

7 Purdue has not promoted and will not promote
8 Butrans for use in the pediatric population,
9 regardless of today's discussion.

10 Stacy Baldrige will now discuss the design,
11 details, and results of the Butrans pediatric
12 clinical program, which provides information to
13 prescribers regarding the pharmacokinetics and
14 safety of Butrans in pediatric patients with
15 chronic pain.

16 **Sponsor Presentation - Stacy Baldrige**

17 MS. BALDRIDGE: Thank you, Dr. Fanelli.
18 Good morning. My name is Stacy Baldrige. I'm a
19 pediatric nurse by training and the pediatric
20 program lead at Purdue Pharma. I want to thank the
21 FDA and the combined advisory committees for the
22 opportunity to work with you towards the common

1 goal of providing important data to healthcare
2 professionals who care for pediatric patients with
3 pain.

4 I have worked on the Butrans pediatric trial
5 for 5 years, supporting our sites and our
6 investigators. The trial was an open-label multi-
7 center study of the safety and pharmacokinetics of
8 Butrans in children from 7 to 16 years of age who
9 require continuous opioid analgesia for moderate to
10 severe pain. Moving forward, I will refer to the
11 study as BUP3031.

12 The BUP3031 protocol was developed in
13 discussion with the FDA and incorporated FDA
14 recommendations. The protocol was designed to meet
15 the Pediatric Research Equity Act, PREA,
16 requirement, defined as a pharmacokinetic and
17 safety study for the treatment of moderate to
18 severe chronic pain requiring continuous around-
19 the-clock opioid treatment for an extended period
20 of time in pediatric patients ages 7 to 16 years.
21 The primary objectives of the study were to
22 characterize both pharmacokinetics and safety.

1 Efficacy for opioid analgesics can be fully
2 extrapolated from adults to pediatric patients as
3 young as 2 years because of similarity of
4 underlying disease process and the exposure
5 response to buprenorphine in adults and pediatric
6 patients.

7 The study was designed and completed prior
8 to the 2016 FDA Pediatric Advisory Committee
9 meeting that resulted in revised FDA
10 recommendations for the study of opioids in
11 pediatric patients. The inclusion and exclusion
12 criteria were designed to identify patients for
13 whom Butrans would be a useful treatment option.

14 Key inclusion criteria for the BUP3031
15 protocol included patients aged 7 to 16 years with
16 moderate to severe pain anticipated to require
17 around-the-clock opioid treatment for at least
18 2 weeks.

19 There was an upper limit for incoming opioid
20 dose as the doses of Butrans in the study may not
21 have provided adequate analgesia for patients
22 requiring high doses of daily opioids. Patients 7

1 to 11 years were required to be on less than
2 40 milligrams per day of morphine or equivalent,
3 and for patients 12 to 16 years, the maximum daily
4 dose was 80 milligrams per day of morphine or
5 equivalent. In addition, higher doses of incoming
6 opioids were required to be tapered down due to the
7 potential for Butrans to precipitate withdrawal in
8 patients already receiving opioids.

9 Patients with post-operative pain meeting
10 the study eligibility requirements were allowed to
11 be enrolled 48 hours after the surgical procedure.
12 Exclusion criteria were designed to avoid exposing
13 patients who may not tolerate Butrans.

14 Key exclusion criteria included evidence of
15 impaired renal or hepatic function, various medical
16 history restrictions, specifically a restriction of
17 cardiac conditions and prolonged QTc interval, use
18 of QT-prolonging medicines such as ondansetron, a
19 commonly used antiemetic, and patients with a
20 history of substance abuse were excluded.

21 The trial was designed to align with medical
22 practice for the care of pediatric patients with

1 pain. This open-label clinical trial was designed
2 to offer treatment for up to 26 weeks with Butrans
3 with dose titrations permitted. Patients initiated
4 treatment with 2.5 or 5 micrograms per hour of
5 Butrans based on age, and they could be titrated
6 flexibly using doses of 5, 10, or 20 micrograms per
7 hour.

8 Dose decreases could occur at any time
9 during the study. Dose increases could occur only
10 after a minimum of 72 hours of treatment at a
11 current dose level to ensure patients reach steady
12 state. Clinic visits and study assessments were
13 conducted throughout the study as shown.

14 The open-label clinical trial was designed
15 to offer up to 26 weeks of treatment with Butrans.
16 Initial dosing was based on patient age, with
17 patients in the 7- to 11-year age group, initiating
18 treatment with a 2.5-microgram per-hour patch, and
19 patients in the 12- to 16-year age group,
20 initiating treatment with 5 micrograms per hour.

21 Dose adjustments were allowed based on
22 tolerability, safety, pain intensity, and the use

1 of supplemental analgesics. Supplemental analgesia
2 with immediate-release opioids and non-opioids was
3 permitted throughout the study.

4 As defined in the protocol, patients could
5 be considered study completers if they completed
6 the full 24 weeks of study drug dosing or at least
7 2 weeks of treatment and had not met any of the
8 discontinuation reasons, and did not need
9 additional treatment with an opioid at the minimum
10 study drug dose; or had completed at least 2 weeks
11 of treatment and were being tapered down from their
12 current Butrans dose in order to switch to another
13 opioid analgesic for tapering purposes and did not
14 meet any of the discontinuation reasons in the
15 protocol.

16 The difficulties conducting analgesic trials
17 in children have been described by experts in the
18 field. Few pediatric patients receive around-the-
19 clock opioids for extended periods of time. Study
20 design, lack of investigators, and a lack of
21 potential study participants further compound those
22 difficulties.

1 We encounter challenges in recruiting both
2 investigators and patients for the study. There
3 are few investigators and sites with the necessary
4 experience and resources to conduct studies of
5 opioids in pediatric patients. We approached
6 investigators with appropriate pediatric
7 specialties and experience prescribing opioids.

8 Few sites approached had sufficient numbers
9 of potentially qualifying patients. Additional
10 protocol-specific restrictions such as prohibited
11 medications, cardiac monitoring, and the need for
12 multiple blood samples for pharmacokinetic analysis
13 also limited investigators' ability to participate.

14 The identification of potential patients was
15 also limited by several factors, including the
16 protocol requirement for at least 2 weeks of
17 treatment since few pediatric patients require
18 treatment with opioids for more than 2 weeks.
19 Protocol prohibited concomitant medications with
20 the potential to prolong the QT interval, such as
21 ondansetron, diphenhydramine, and famotidine,
22 further restricted the potential patient

1 population. That restriction significantly limited
2 the enrollment of patients with cancer as those
3 medications are commonly used in this population.
4 Finally, the protocol limits for incoming opioid
5 dose and the required taper imposed further
6 limitations on eligible patients.

7 The selection of investigators and
8 institutions with the appropriate patient
9 population and resources to conduct the study
10 proved challenging over the five years we conducted
11 the trial. Purdue approached 500 investigators at
12 250 institutions in the United States, and of
13 those, only 33 sites were initiated on the study.
14 Of those 33 sites, 16 screened patients and 11
15 sites enrolled or dosed at least one patient on the
16 study.

17 Similar challenges were encountered in
18 identifying appropriate patients for enrollment on
19 the study. Pre-screening was conducted to
20 encourage active recruitment of appropriate
21 patients and to provide information on patients who
22 did not qualify for the study.

1 Pre-screening information was collected from
2 any child with pain whom an investigator considered
3 for study enrollment based on their clinical
4 judgment. This initial consideration further
5 prompted a more detailed review of the patient's
6 medical chart and medical history by that study
7 team to determine if the patient might be an
8 appropriate candidate to formally approach for the
9 trial.

10 For example, sites reviewed inpatient
11 census, clinical schedules, and potentially OR
12 schedules for patients who would be anticipated to
13 require 2 weeks of therapy with opioids.

14 Over 3,000 patients were pre-screened. Of
15 those, approximately 2,000 were in the appropriate
16 age group for the protocol, 7 to 16 years. Seventy
17 patients were screened for the trial and 41
18 patients were enrolled. Overall, only 1.3 percent
19 of pre-screened patients were enrolled. For the
20 younger age group, that percentage was even lower.

21 Patients pre-screened, screened, and
22 enrolled were predominantly in the 12- to 16-year

1 age group. In the 7- to 11-year age group,
2 1.4 percent of patients pre-screened were screened
3 and even fewer were enrolled. In the 12- to 16-
4 year age group, 4.4 percent of pre-screened
5 patients were screened, but even fewer enrolled.

6 Patients were selected for pre-screening by
7 an investigator based on the patients' medical
8 history, level of pain, and opioid analgesic
9 requirements through chart review or review of
10 clinic schedules. Again, over 3,000 patients were
11 pre-screened for this study with approximately
12 2,000 in the appropriate age range for the
13 protocol.

14 Patients were excluded at pre-screening
15 primarily due to the age requirement, the
16 anticipated duration of treatment of 2 weeks, and
17 those protocol-restricted concomitant medications
18 such as ondansetron, diphenhydramine, and
19 famotidine, among others.

20 Seventy patients were screened for the
21 study, which means that informed consent was
22 obtained and study-specific screening procedures

1 were conducted. It's important to note that there
2 were 29 screen failures for reasons such as
3 abnormal CG findings, abnormal laboratory findings,
4 and other medical exclusions. Of the 41 patients
5 enrolled, 23 patients met the protocol definition
6 of study completer with treatment durations ranging
7 from 2 to 26 weeks.

8 There were 18 discontinuations with
9 treatment durations of up to 23 weeks. Eleven of
10 those 18 patients discontinued due to an adverse
11 event. I will present those later in detail.

12 We enrolled a population reflective of
13 pediatric patients with pain requiring at least
14 2 weeks of treatment with an around-the-clock
15 opioid. There were 6 patients enrolled in the 7-
16 to 11-year age group and 35 patients in the 12- to
17 16-year age group.

18 African-American patients were well
19 represented and reflective of the occurrence of
20 sickle cell disease in this population. On
21 average, the weight of patients 7 to 11 years was
22 approximately half that of patients aged 12 to 16

1 years, consistent with expectations in the
2 pediatric population. All but 2 of these patients
3 were using opioids prior to being enrolled in the
4 study. Reasons for moderate to severe pain at
5 study entry were varied.

6 The study protocol included patients with
7 moderate to severe pain requiring or anticipated to
8 require continuous around-the-clock opioid
9 treatment for at least 2 weeks. The most commonly
10 investigated reported sources of pain were back
11 pain, migraine, and pain related to sickle cell
12 anemia.

13 Back pain was the most common primary pain
14 etiology and was often related to a complex
15 underlying health condition occurring in patients
16 with Crohn's and irritable bowel syndrome, kidney
17 stones, sickle cell disease, and lupus or
18 Sjogren's.

19 Thirty-nine of 41 patients were receiving
20 opioids to treat their pain prior to study
21 enrollment. Many patients require treatment with
22 Butrans for an extended period of time.

1 Thirty-seven patients had at least 2 weeks
2 of treatment. Of those, 18 patients had 12 weeks
3 of exposure to Butrans, which aligns with the FDA
4 definition of chronic therapy. Thirteen patients
5 completed the full study treatment of 24 weeks.
6 The mean number of days on Butrans was higher in
7 the older age group, 12 to 16 years, at 101 days,
8 compared with the younger age group at 26 days.

9 Butrans dose adjustments were permitted
10 throughout the study. Exposure to the highest dose
11 in the trial, 20 micrograms per hour, occurred only
12 in the older age group with 13 patients exposed to
13 at least 1 dose of 20 micrograms per hour. Ten
14 patients were exposed to at least two weeks of the
15 20-microgram per-hour dose, and 6 patients were
16 exposed to that dose for at least 4 weeks.

17 The characterization of pharmacokinetics of
18 Butrans in children was a primary objective of the
19 trial consistent with the PREA requirement. The
20 study provides an adequate description of the
21 pediatric PK data. Population pharmacokinetic
22 analyses were performed using sparse samples

1 obtained at 5 time points during the first 4 weeks
2 of treatment as steady state is reached during the
3 first patch application by day 3, so all subsequent
4 samples are at steady state.

5 The majority of patients had all 5 samples
6 obtained. The pharmacokinetic data set of
7 38 patients, including 151 samples, provided a
8 basis for modeling to characterize the population
9 pharmacokinetics of transdermal buprenorphine in
10 pediatric patients.

11 Population pharmacokinetics were performed
12 consistent with FDA guidance. We leveraged
13 population pharmacokinetics from adults in the
14 planning and analysis of the pediatric population.
15 A covariate modeling approach was applied with
16 parameters scaled allometrically to pediatric body
17 weight.

18 The pediatric population PK of buprenorphine
19 from Butrans in patients 7 to 16 years of age was
20 described by a two-compartment model with
21 sequential zero and first-order absorption, which
22 provided an adequate description of the pediatric

1 PK data.

2 Simulations were performed to identify the
3 pediatric dose expected to achieve target exposure
4 in adults. Body weight was found to be the most
5 important factor influencing buprenorphine PK
6 following administration of Butrans.

7 We determined the starting doses to target
8 the exposure seen in adults. We observed that a
9 Butrans dose of 2.5 micrograms per hour in a 7- to
10 11-year-old patient and 5 micrograms per hour in a
11 12- to 16-year-old patient yielded buprenorphine
12 plasma concentrations matching the targeted
13 exposure in adults, dosed with 5 micrograms per
14 hour of Butrans.

15 For children 12 to 16 years of age who are
16 at least 50 kilograms, the PK data suggests that no
17 dose modification is needed from adult dosing. For
18 children 12 to 16 years of age who are less than
19 50 kilograms, the PK data suggests that half of the
20 adult dose should be used.

21 Evaluation of safety identified no new
22 safety issues in pediatric patients not already

1 established as part of the Butrans safety profile
2 for adult patients. The second primary objective
3 of the study was to characterize safety. Safety
4 assessments included adverse events, vital signs,
5 oxygen saturation, laboratory tests, somnolence,
6 and cardiac monitoring.

7 Adverse events were similar to those
8 observed in the adult clinical trials. Overall,
9 32 patients experienced adverse events throughout
10 the study, with 26 patients in the older age group
11 experiencing adverse events. Of those 32 events,
12 12 were mild, 13 were moderate, and 7 were severe.

13 Twenty-one of those patients had adverse
14 events that were classified by the investigator as
15 related to study treatment. Eleven patients had
16 events leading to discontinuation of treatment and
17 8 patients experienced serious adverse events.
18 There were no deaths.

19 The most frequently reported adverse events
20 were mostly those consistent with events commonly
21 associated with the use of opioid analgesics or
22 with a transdermal route of administration. The

1 most frequently reported adverse events included
2 nausea, application site pruritus and irritation,
3 somnolence, headache, and vomiting. Events of
4 sickle cell anemia with crisis occurred in patients
5 with underlying sickle cell disease. As with
6 adults, some pediatric patients experienced
7 application site reactions and a few of those
8 patients had multiple events.

9 Eleven patients experienced application
10 site-related adverse events and most of those were
11 classified as mild. No events were classified as
12 severe. The most common events were application
13 site pruritis and irritation. Only one patient
14 withdrew to the study due to a single event of
15 application site irritation.

16 Now, turning to serious adverse events, 8
17 patients, including 4 in each age group, reported
18 serious adverse events. One of those events, first
19 degree AV block, was reported by the investigator
20 as unlikely to be related to study drug. The
21 remaining serious adverse events were reported by
22 the investigators as not related to study drug, but

1 rather could be attributed to the patient's
2 underlying condition.

3 The serious adverse events occurring in the
4 7- to 11-year age group included one patient with
5 appendicitis, one patient with a Crohn's
6 exacerbation, worsening anemia, and malnutrition,
7 one patient with hypersomnolence, and one patient
8 with first degree AV block. Two of these 4 events
9 resulted in study discontinuation.

10 The serious adverse events occurring in the
11 12- to 16-year age group included 2 patients with
12 multiple events of vaso-occlusive crisis, both
13 patients with underlying sickle cell disease, one
14 patient with a worsening of their osteomyelitis,
15 and one patient with an exacerbation of migraine
16 pain. Two of those patients discontinued study
17 treatment following the serious adverse event.
18 Seven additional patients discontinued treatment
19 due to a non-serious adverse event.

20 In total, including both serious and non-
21 serious adverse events, 11 patients discontinued
22 the study due to those events. Five of those

1 patients had ECG findings that led to protocol-
2 mandated study discontinuation, of which one event
3 was considered serious. One of the patients who
4 discontinued due to an ECG finding also had a prior
5 adverse event of somnolence associated with that
6 discontinuation.

7 The patients with protocol-mandated
8 discontinuation due to ECG findings will be
9 presented in detail separately. Again, 3 patients
10 with serious adverse events discontinued treatment.
11 Those events were shown earlier. These events were
12 exacerbation of migraine pain, vaso-occlusive
13 crisis, and hypersomnolence. In 3 patients, non-
14 serious events of increased migraine pain,
15 worsening pain due to neuroma, and skin irritation
16 at the patch site led to study discontinuation.

17 There were protocol-specified thresholds for
18 ECG, vital sign, and laboratory findings that led
19 to further evaluation. Intensive electrocardiogram
20 monitoring was performed throughout the study, with
21 particular attention paid to QT interval
22 measurements.

1 A thorough QT study of Butrans in adults
2 showed that supertherapeutic doses of 40 micrograms
3 per hour of Butrans resulted in prolongation of the
4 QT interval. Patients with cardiac abnormalities
5 or those receiving medicines that had known or
6 possible association with QTc prolongation were
7 excluded from the trial. ECG monitoring was
8 performed throughout the study.

9 The protocol criteria for cardiac parameters
10 and discontinuation requirements were conservative.
11 Five patients had protocol-mandated discontinuation
12 due to ECG findings, including 2 patients with QT
13 prolongation, one patient with a prolonged QRS, one
14 patient with first degree AV block, and one patient
15 with sinus tachycardia. None of these findings was
16 associated with clinical symptoms.

17 The serious adverse event of first degree AV
18 block resolved while the patient was receiving
19 Butrans. The event of sinus tachycardia occurred
20 in a patient with a known history of sinus
21 tachycardia, which persisted following
22 discontinuation of treatment. Each of these cases

1 were reviewed by our pediatric cardiology
2 consultant, Dr. Ramesh Iyer. Dr. Iyer is with us
3 today and can answer questions related to these
4 patients.

5 There were no clinically significant changes
6 in blood pressure or pulse from baseline to the end
7 of study. There were no patients with treatment-
8 emergent clinically significant respiratory
9 depression in either age group by age parameters.
10 There were no clinically significant pulse oximetry
11 changes.

12 The majority of patients stayed within the
13 normal range for hematologic and blood chemistry
14 values during the study. Toxicity grading for the
15 trial was based on the National Cancer Institute
16 common toxicity criteria. Three patients had
17 laboratory toxicity grades greater than or equal to
18 3 after baseline, including an 8-year-old patient
19 with neutropenia with underlying Ewing's sarcoma
20 and chemotherapy treatment, a 13-year-old with low
21 hemoglobin with underlying sickle cell anemia, and
22 a 12-year-old with a single elevated ALT and AST

1 without an associated elevation of bilirubin or
2 alkaline phosphatase. Those values returned to
3 normal while the patient was receiving Butrans.

4 There were no unexpected safety findings in
5 the pediatric population. No new safety issues
6 specific to pediatric patients were identified that
7 were not already established as part of the Butrans
8 safety profile for adults.

9 The reported adverse events for the 12- to
10 16-year age group were consistent with the known
11 safety profile of Butrans observed in the adult
12 clinical trials and our postmarketing experience.
13 There were not enough patients to draw conclusions
14 in the 7- to 11-year age group.

15 In conclusion, the PK data for children 12
16 to 16 years of age who are at least 50 kilograms
17 suggest that no dose modification is needed from
18 adult dosing. For children 12 to 16 years of age
19 who are under 50 kilograms, the PK data suggests
20 that half of the adult dose should be used. There
21 were no new safety issues identified.

22 I would like to thank all of our patients,

1 their parents and caregivers, for their
2 participation in this important study. Their
3 commitment and generosity contributed to the
4 advancement of research in the treatment of pain in
5 pediatric patients.

6 The pediatric study data on Butrans provides
7 information for prescribers as they make
8 challenging decisions about the care of the small
9 number of children with pain severe enough to
10 require daily, around-the-clock, long-term opioid
11 treatment and for which alternative treatment
12 options are inadequate.

13 We have with us today a number of
14 respondents who are available to answer your
15 questions. Thank you.

16 **Clarifying Questions**

17 DR. BATEMAN: Are there any clarifying
18 questions for Purdue? Please remember to state
19 your name for the record before you speak. If you
20 can, please direct questions to a specific
21 presenter. Dr. Emala?

22 DR. EMALA: Hi. Charles Emala from Columbia

1 University. I guess my question is for the most
2 recent speaker, and it's in reference to a figure
3 in the briefing document from Purdue, which is
4 figure 2 on page 34 of the briefing document, which
5 is a visual analog scale reading of patients over
6 the 24-week period in the 12 to 16 age group.

7 My question really comes down to the context
8 of safety. And realizing that these children were
9 also receiving supplemental analgesics, I'm
10 wondering whether they really had a sufficient
11 exposure to Butrans to interpret whether they were
12 receiving a therapeutic level, and thus can we
13 assess safety from that, realizing that on page 48
14 of the presentation, we alluded to the fact that
15 the QT prolongation identified in the adult
16 population occurred at levels of 40 mics per hour.

17 So I'm trying to reconcile whether in fact
18 they're receiving a high enough dose to make an
19 assessment of safety based on the visual analog
20 scale.

21 MS. BALDRIDGE: I will first speak to the
22 visual analog scale diagram. If you could bring up

1 slide 2, please.?

2 Exploration of analgesic activity was a
3 secondary objective of the trial for informational
4 purposes since efficacy can be extrapolated from
5 the adult population. And as the speaker
6 mentioned, the majority of these patients were
7 receiving supplemental analgesia, most receiving
8 supplemental immediate-release opioids during the
9 study.

10 Regarding exposure of patients to evaluate
11 safety, I'll ask Dr. Ram Kapil to speak to the
12 exposure data obtained in the pharmacokinetic
13 analysis.

14 DR. KAPIL: Ram Kapil, clinical
15 pharmacology, Purdue. Can we pull up slide CP-13,
16 please? These are the five subjects in question.
17 So you see we have the respective age, weight,
18 subject's first dose, and the last dose, and their
19 respective clearance for each suspect, and maximum
20 observed plasma concentration as shown in the
21 middle of the column, and also the predicted area
22 under the curve after the last dose. The second to

1 last column is the AUC projected at 5 micrograms
2 per hour dose.

3 Just to draw your attention on the bottom of
4 the table, we have PK information from the thorough
5 QTc trial, where we saw prolongation of QTc at
6 40 micrograms per hour. The mean Cmax was
7 931 picograms per mL, and the area under the curve
8 was 116.

9 If you could just look at the yellow shaded
10 areas for these 2 patients with QTcs, they are
11 below this so-called systemic exposure where QTc
12 occurred. If you look at the very second to last
13 column, the AUC steady state predicted at a
14 5-microgram per-hour dose is comparable to the
15 human adult targeted exposure with the exception of
16 the second row. But if we focus on these two
17 subjects, the systemic exposure is comparable to
18 the adult doses, and it's far below the QTc levels.

19 DR. EMALA: If I could just follow up, I
20 understand that we didn't achieve those levels. My
21 concern was, were the children exposed to a
22 therapeutic dose of Butrans, because the visual

1 analog scale suggests that there were some
2 improvements. But the text of the description of
3 the figure talked about maintained pain scores
4 rather than improvement in pain scores. And
5 realizing that the children are getting additional
6 drugs in addition to Butrans, I'm wondering if they
7 were really exposed to a high enough level to
8 assess safety if Butrans was being used as this
9 whole analgesic.

10 MS. BALDRIDGE: The design of this clinical
11 trial and others allow for use of supplemental
12 analgesia and informative exploration of efficacy.
13 Most 39 patients were receiving opioids at
14 baseline, so they had a baseline pain score that
15 indicated that their pain was generally maintained.
16 When they transitioned to Butrans, they were
17 allowed supplemental analgesia, so that is a factor
18 in considering the pain scores.

19 I will ask Dr. Kapil to speak further about
20 the exposure data for all patients in the study.

21 DR. KAPIL: Could you pull slide CP-25,
22 please? So if we focus on the very last column,

1 the last column basically reflects the area under
2 the curve at steady state, projected at
3 5 micrograms per hour dose for all of these 38
4 subjects. And the target there was to compare it
5 to the target adult exposure at 5 micrograms, which
6 was 17.

7 If you go to slide 24 to look at the
8 statistics, if you bring that one up, as you can
9 just see, the median exposure across all 38
10 subjects is around 19, which is comparable to the
11 adult exposure. And if we look at the coefficient
12 of variation here, it's about 21 percent, which is
13 also comparable to what we see in adults.

14 So our data suggests that we have comparable
15 exposure across all the kids.

16 MS. BALDRIDGE: Dr. Fanelli?

17 DR. FANELLI: Regarding the therapeutic
18 level and whether Butrans -- at the levels we saw,
19 as Dr. Kapil said, they're comparable to the
20 adults.

21 In this trial, efficacy as a secondary
22 measure, the subjects came in, the vast majority,

1 all but very few patients, on an opioid, so they
2 were being maintained. They had pain relief with
3 that application. Then they were tapered down, and
4 a Butrans patch was applied.

5 So very similar to clinical practice, where
6 there's supplemental analgesic given, the fact that
7 the blood levels were similar to adults and that
8 they maintained the pain scores, even though it was
9 just secondary, we did not see a loss of analgesia
10 when the Butrans patch was applied. It is
11 consistent with the fact that there was therapeutic
12 efficacy in those subjects.

13 DR. BATEMAN: Dr. Litman?

14 DR. LITMAN: Good morning. Thank you.
15 Ms. Baldrige, I had a question about the sicklers.
16 It must be so hard to do a study like this. As a
17 formal clinical trialist, I just can't imagine
18 trying to coordinate this among centers. And I
19 know it must have been very difficult to recruit
20 non-cancer patients.

21 I take care of a lot of cancer patients, and
22 I can't think of one that's not on ondansetron, so

1 you would have had to obviously recruit other
2 kinds. You have 5 sicklers here, and 2 are listed
3 in slide CC-45. Both of those had two crises I
4 guess while on Butrans.

5 I'm just curious. At first blush, you look
6 at some of these adverse effects, and like say
7 appendicitis, obviously Butrans can't cause
8 appendicitis and the same for the crisis. But on
9 the 16-year-old female, it was stopped permanently.

10 Do you know if there's any reason that they
11 said to themselves, "Could this crisis be related
12 to the Butrans?"

13 MS. BALDRIDGE: Bear with me one minute.

14 DR. LITMAN: Yes, no problem.

15 MS. BALDRIDGE: I'm going to speak about
16 that patient. So particularly for the patient with
17 sickle cell anemia and multiple -- I think two
18 events of vaso-occlusive crisis, the 16-year-old,
19 the discontinuation was actually her choice. And
20 we had other discontinuations that could have been
21 related to the events or related possibly to lack
22 of therapeutic effect or multiple doses of the

1 drug. That patient did discontinue due to lack of
2 adequate pain control.

3 DR. LITMAN: Thank you. I just have one
4 more question about the QT prolongation. As a
5 pediatric anesthesiologist, this has plagued us for
6 years. In fact, every day I'm in the OR, I give
7 sevoflurane and ondansetron to everybody.

8 It really just makes me wonder. And maybe
9 this is for you or Dr. Iyer, but when you were
10 recruiting these patients, I would imagine that
11 many of them who are already on opioid therapy had
12 also already been on ondansetron. So for the
13 purposes of the protocol, did you just stop it
14 before they were entered into the study?

15 MS. BALDRIDGE: So for the purposes of the
16 protocol specifically regarding ondansetron, they
17 had to be free of ondansetron for 7 days prior to
18 entering this study. There were a little less
19 conservative criteria for medications considered
20 additional QT prolongers. Ondansetron is a known
21 prolonger, so they should have been off of that for
22 at least 7 days before starting Butrans.

1 DR. LITMAN: Looking back on the patients,
2 were there any that accidentally received both at
3 the same time? I'm trying to get an idea. What
4 would actually happen? Not everyone reads the
5 label, of course, and it's bound to happen that
6 someone's going to get this while also receiving
7 ondansetron.

8 MS. BALDRIDGE: So we had very diligent
9 monitoring and education of the investigators. But
10 as you described, there were isolated events where
11 ondansetron was administered to a patient on the
12 study. One of those in particular was for one of
13 our sickle cell patients who came into the hospital
14 over the weekend in vaso-occlusive crisis, and the
15 emergency room physician treated that patient.

16 Those were isolated events. We documented
17 those closely. For certain patients, depending on
18 the dose, or the ondansetron, or other medications
19 given, they may have received extra safety or
20 cardiac monitoring. But those were isolated
21 events, which we dealt with education and
22 reinforcing the prohibited medication list on the

1 study.

2 DR. LITMAN: Is there any cases out there
3 that you know about where Butrans affected QT
4 prolongation in a clinical way, any kind of
5 clinical events?

6 MS. BALDRIDGE: I'll ask Dr. Iyer to speak
7 to that question.

8 DR. IYER: Ramesh Iyer, pediatric
9 electrophysiologist, Children's Hospital,
10 Philadelphia. I am the cardiovascular safety
11 consultant for the study. And my disclosures, I do
12 not have any financial interest in the company or
13 the outcome of this meeting.

14 With regards to any clinical cases where we
15 had QTc prolongation, in my clinical practice, I am
16 not aware of any. With regards to the 2 patients,
17 I can describe the more details of what had
18 happened.

19 I'm sorry. That's your question? I just
20 wanted to clarify.

21 DR. LITMAN: No, that's okay. I was just
22 curious. Obviously, most of us in this room, for

1 many years have been plagued by this QT
2 prolongation without a lot of knowledge like what
3 does it really mean. So I was just curious if
4 there's been ever any clinical events associated
5 with Butrans.

6 DR. IYER: I have one other comment about
7 the ondansetron, which has been used in some of our
8 patients with prolonged QT syndrome. So it does
9 prolong. I'm not aware of a combination of the
10 Butrans and ondansetron.

11 DR. LITMAN: Thanks very much.

12 MS. BALDRIDGE: I'll ask Dr. Paul Coplan.
13 He has data on clinical events, and he can speak to
14 that for your question.

15 DR. COPLAN: Thank you. Paul Coplan,
16 epidemiologist. Could I have the first slide on
17 the adverse events of interest?

18 One of the questions is, does the QTc
19 prolongation translate into an adverse event, a
20 clinical adverse event associated with that
21 prolongation such as Torsades de pointes and
22 cardiac death.

1 So the FDA looked at that for sublingual
2 buprenorphine tablets, which are administered at a
3 dosage strength that's 5 to 10 times higher than
4 that of the highest dose of Butrans in adults. So
5 we have to look at adult data to try to get an
6 answer to your question, Dr. Litman, and to see in
7 adults, is there an indication of the QTc
8 prolongation translating into real-world events.

9 So in order to do that, we can look at
10 postmarketing data. A study was done in the FAERS
11 system by Dr. Cao with the FDA. I think Dr. Staffa
12 is a co-author on that, I think. And they looked
13 at whether there was a signal of QTc prolongation
14 for buprenorphine as compared to methadone. And
15 what they found was that there was a signal of
16 sudden cardiac death in Torsades de pointes and
17 other clinical adverse events for methadone, which
18 is known as QTc prolongation, a molecule. But the
19 buprenorphine did not show an increase.

20 However, they pointed out that there was a
21 problem -- that their conclusions didn't translate
22 to the patch. So we undertook a study to see

1 whether there was a particular issue with the
2 patch. We used the MedDRA terms in the FDA adverse
3 event reporting system. We used a narrow term,
4 which is specifically for QTc, and then a broader
5 term which looked at a broader array of clinical
6 adverse events.

7 Could have slide 1, please? This is the
8 data from that study published, and the bars on the
9 far left in orange are the buprenorphine patch,
10 Butrans. Those immediately to the right are other
11 buprenorphine formulations. And the two peaks that
12 are increased are for methadone, which was our
13 positive control in the study and was at a negative
14 control of fentanyl patch.

15 There's a broad term and a narrow term, so
16 the broad term is more sensitive. The narrow term
17 is more specific. As would be expected for
18 methadone, the narrow term, the more specific term
19 shows a stronger effect. For fentanyl patch on the
20 far right, we don't see an effect. That's our
21 negative control. You can see for Butrans, there
22 does not appear to be an increase.

1 Now, the 2, the redline at the 2, is the
2 criteria that's used. This is a geometric mean
3 using a Bayesian smoothing measure, and as long as
4 the confidence interval doesn't exceed a 2, then
5 that is your signal.

6 Now, that doesn't quite get at your
7 question, Dr. Litman, because how many of these
8 people are already cancer patients and could be
9 using ondansetron? So we looked at the U.K. data.
10 So in the U.K., there's a buprenorphine patch
11 called Transtec.

12 Can I have the next slide? This is an
13 analysis that was done by the Uppsala Monitoring
14 Center in Sweden using the Vg database. And again,
15 we used methadone as a positive control. You can
16 see the criterion. And they used the information
17 criterion, and the lower bound of the 95 percent
18 confidence intervals needed to be above 1 for a
19 signal. So if you look on the far right, you can
20 see, for methadone, it shows up pretty extensively.

21 The Transtec patch, which is used at a 35-
22 to 70-microgram per-hour dosage strength, which is

1 substantially higher than the 20-microgram, which
2 is the maximum dose in the U.S., the Transtec is
3 specifically indicated for cancer patients. And
4 there we don't see any increase in any of the
5 clinical adverse events associated with
6 proarrhythmia that we would expect if the QTc
7 prolongation was translating into a clinical
8 concern in these cancer patients. Thank you.

9 DR. LITMAN: Thanks very much.

10 DR. BATEMAN: We'll come back to remaining
11 questions later this morning. So we'll now proceed
12 with the FDA presentations, starting with
13 Dr. Fields.

14 **FDA Presentation - Sharon Hertz**

15 DR. HERTZ: Hi. This is Dr. Hertz.
16 Dr. Fields was unable to come today, so I'm going
17 to present her slides.

18 What I'm going to cover is just a lot of the
19 background that I think is important to consider
20 when we're talking about pediatric development in
21 analgesics, but particularly opioid analgesics.

22 This is what this talk is going to cover,

1 general pediatric drug development specifically as
2 it pertains to analgesics, some discussion about
3 our study requirements. I'll go more into opioids.
4 We had an advisory committee specifically on the
5 topic of pediatric opioid analgesic development, so
6 I'll review that, and where we see ourselves moving
7 forward.

8 You heard a little bit about this from the
9 sponsor. I'm going to go into it in a little bit
10 more depth. There's some general principles for
11 pediatric drug development. Two key principles are
12 children should have access to products that have
13 been appropriately evaluated, and there should be
14 thoughtful drug development and inclusion of
15 children in trials, and that's critical to
16 pediatric health.

17 So you heard a little bit about the
18 pediatric legislation. There's two particular
19 areas that cover drug development in children, Best
20 Pharmaceuticals for Children Act and the Pediatric
21 Research Equity Act.

22 Best Pharmaceuticals for Children Act, BPCA, is

1 a voluntary system that sponsor can request. It
2 results in issuing of a written request, and that's
3 an extensive evaluation program that involves the
4 actual moiety. It's not specific to the existing
5 approved indication in adults, so it can be quite
6 expansive.

7 We issue these when we think there's a
8 public health need for that information in
9 children, and it also provides a process for
10 studying off-patent drugs, which can be
11 challenging. And it's rewarded for someone who
12 undertakes this type of development program with
13 six months of marketing exclusivity if the terms
14 are met, the terms of the written request. That's
15 important.

16 As you heard, PREA, that's a requirement.
17 We require pediatric assessment at the time the
18 application is initially submitted, but we also
19 have criteria to waive or defer pediatric studies.
20 This legislation also created the Pediatric Review
21 Committee, and that committee works with us, with
22 the divisions, as we review pediatric plans and

1 assessments and also the waivers and deferrals.

2 With regard to pediatric analgesic drug
3 development, it is clearly an unmet need for
4 information about products used for pediatric pain
5 management. Few products have pediatric
6 indications or labeling. Most analgesic use is
7 off-label in children. And although pediatric
8 studies have been required since 2003, few studies
9 have been completed.

10 Most infants and children are healthy and
11 experience brief pain episodes, but it's important
12 to remember that some have very severely painful
13 conditions and really do require analgesics at the
14 level of opioid analgesics.

15 These are the products that have pediatric
16 labeling, and you can see there's very few opioids
17 here. It's mostly NSAIDs, and that's in the area
18 of JIA, juvenile inflammatory arthritis. We have a
19 few of the combination opioid products that have
20 some labeling, but you can see that these are
21 generally not popular or more modern products. And
22 of course, we've modified what's going on with

1 codeine now.

2 Here is a list of drugs in general that have
3 no pediatric language. You can see that there are
4 a number of products here that are used in
5 children, and the way in which they're used is
6 based on the education and experience of individual
7 providers.

8 With regard to the study requirements for
9 developing analgesics for children, prior to 2010,
10 we were pretty strict. We required not only
11 pharmacokinetic data, but efficacy and safety
12 studies, and that's efficacy from adequate and
13 well-controlled studies for almost all analgesics
14 and all age groups.

15 Companies were very reluctant to undertake
16 this. There were a lot of challenges, ethical
17 concerns expressed from IRBs and investigators
18 regarding the use of placebo or allowing children
19 to experience more than mild pain. Clearly, this
20 led to challenges with enrollment, concerns from
21 parents, study sites, investigators, and there were
22 just a limited number of patients in general.

1 Clearly, with neonates, it's a whole other story.
2 They undergo painful procedures, and the experience
3 of pain for the children is also an experience of
4 pain for the parents.

5 We were getting nowhere or very little
6 progress with obtaining important information for
7 the use of analgesics in pediatric patients, so we
8 needed to take a step back and look at what was the
9 current state of the science and how could that
10 help understand the discipline needed. So we
11 convened a scientific workshop, and we asked
12 leading pediatric, analgesic, and clinical trial
13 design experts and clinical pain experts to come
14 together and discuss what is the science behind
15 extrapolation of analgesics for pediatrics and what
16 is the science that helps us decide how to
17 differentiate the different age groups? Then, if
18 there are areas where there isn't science to
19 support extrapolation, what kind of data is
20 possible to obtain?

21 There was some discussion of extrapolation,
22 and as you know, an adequate and well-controlled

1 efficacy trial was not required for Butrans in
2 their PREA requirements.

3 Why is extrapolation an important concept in
4 this area? Children are considered a vulnerable
5 population and that results in the requirement for
6 additional safeguards in studies. Particularly,
7 they can't consent and also there can be, as they
8 get younger, challenges with communicating
9 symptoms. We also need to consider that they're
10 developing, especially their nervous systems.

11 So extrapolation of efficacy is important
12 when it's possible because studies in children can
13 be difficult. There's a limited number of patients
14 available to enroll, and extrapolation permits
15 smaller studies that enroll fewer patients.

16 This is established by legislation, and it's
17 a very specific situation in which extrapolation is
18 allowed and here is the language there, so the
19 disease and the effects of the drug are
20 sufficiently similar in adults and pediatric
21 patients so that we as an agency can conclude that
22 pediatric effectiveness can be extrapolated from

1 adequate and well-controlled studies in adults.
2 And that's usually supplemented with other
3 information obtained in pediatric patients such as
4 pharmacokinetic studies.

5 Just for your reference, the results of the
6 scientific workshop was written up and published,
7 so you can see more about the discussion that
8 occurred at that time.

9 Since 2010, we have thought about how to
10 apply the science to our requirements for studies
11 in analgesics in children. Opioids, non-steroidal
12 anti-inflammatory drugs, acetaminophen, and local
13 anesthetics, we think that with the same exposure
14 and in conditions where we think the pain has a
15 similar mechanism, and the characteristics of the
16 pain are similar, we can expect the effect of these
17 products to be similar. And that's in the setting
18 of understanding the pharmacokinetic exposure and
19 also obtaining independent safety information in
20 children. We don't extrapolate safety. We get
21 additional safety information in pediatrics.

22 It seems that the science could support

1 extrapolation of efficacy for these types of drugs
2 down to the age of 2, but based on a variety of
3 physiologic factors, below the age of 2, really,
4 attempts should be made to try and get actual
5 efficacy data.

6 For other classes of drugs, including novel
7 analgesics, we don't know whether or not
8 extrapolation would be appropriate, so we start
9 with the idea that demonstration of efficacy as
10 well as pharmacokinetics and safety would be
11 required across the age range. And then when it
12 comes to chronic pain and the use of extended-
13 release analgesics, there's very little use of this
14 below the age of 7, and it's just not practical to
15 even consider conducting studies.

16 I'm going to approach this drug-use data a
17 little bit differently than you heard to show you
18 the current landscape. We have national estimates
19 of total pediatric patients in the zero to 16 age
20 range who have received dispensed prescriptions for
21 opioid analgesics from outpatient retail
22 pharmacies. It's a very specific group that the

1 data sources cited here can provide information
2 for.

3 What you can see is between the years of
4 2011 and 2015, overall there's been a decline in
5 the use of opioid analgesics. And I think that's
6 an important recognition that many uses of opioid
7 analgesics in pediatric populations can be avoided,
8 which is always a good thing, and we can see the
9 decrease predominantly in the 7- to 16-year-olds,
10 and that in the younger age groups, there's very
11 little use of this data.

12 So here's the graph of the number of
13 children with dispensed prescriptions, and it's
14 just a way to break down IR versus extended-release
15 and long-acting opioids. It's separated by age
16 group. I think that when you're looking at the
17 zero to 1, you may be thinking how is an ER used in
18 this age range? And I think what that probably
19 reflects is long-acting opioids, the use of
20 methadone, and/or buprenorphine in the very young
21 to treat things such as neonatal abstinence
22 syndrome or opioid withdrawal syndrome.

1 The use of opioids in the middle age group,
2 2 to 6, is predominantly IR, immediate-release
3 products. Even in the older children, use is
4 really overwhelmingly immediate-release. And I
5 think this speaks to a lot of the difficulties
6 we've seen in various development programs trying
7 to explore newer products in pediatric populations.

8 Which opioids are currently being used in
9 pediatric populations? Here's a list for the IRs.
10 It's predominantly far and away the hydrocodone-
11 acetaminophen combination opioid products, opioid-
12 non-opioid products as well as codeine-
13 acetaminophen products.

14 This was in 2015. We're obviously going to
15 expect the codeine numbers to change based on the
16 more recent activities. And here is a distribution
17 of what's being used with the extended-release and
18 long-acting products. And you can see it's just a
19 small amount, and it's mostly with the products
20 described here.

21 Again, you can see that the methadone is, by
22 percentage, greater in the zero to 1 compared to

1 the other opioids. I can't believe the zero to 1
2 in the transdermal fentanyl is an accurate
3 representation of use, but the methadone, again, is
4 probably not used for analgesia.

5 So you heard there's not a lot of Butrans
6 use in pediatric patients. It's been approved
7 since 2010. There's very little use. In some of
8 the data systems, the number is so low that a
9 reliable estimate can't even be generated.

10 So basically, to summarize that, use of
11 opioids is on the decline. Their use is
12 predominantly immediate release, and there's very
13 little experience or use of Butrans in the
14 pediatric population.

15 I'm going to go over OxyContin. This is
16 always a trigger point for many. I'm just going to
17 contrast what we're doing today with Butrans with
18 what we've done with OxyContin, just to make the
19 differences clear. OxyContin had pediatric studies
20 that were also required, and we approved an
21 indication, to many people's dismay, in August of
22 2015.

1 This was based on a written request, so it
2 was different than the PREA requirements, and
3 several studies were conducted, both with oxycodone
4 immediate-release and OxyContin as a formulation.

5 We approved a pediatric indication, and we
6 thought it was important because the pediatric
7 patient population that seemed to be appropriate
8 when this level of analgesia and type of product
9 was necessary was a more narrow, more limited
10 population than in adults.

11 So in contrast, for pediatric patients over
12 the age of 11, they would have to be already opioid
13 tolerant and using a minimum of 20 milligrams of
14 oxycodone per day. That's the lowest available
15 amount for dosing with OxyContin, and we wanted
16 patients to already be known to tolerate that or
17 its equivalent before the extended-release
18 formulation is used.

19 In fact, we had data on the use of
20 OxyContin -- I'm going to show that in a
21 minute -- and we did not think we were creating a
22 new use for OxyContin, but there was a lot of

1 concern that even just the attention would expand
2 the use. That's the labeling. It just shows what
3 I described.

4 We instituted some novel PMRs, trying to
5 understand the impact of this new indication. One
6 study requires the company to assess a variety of
7 important and serious adverse events, and not just
8 in the 11 and older age group but also the entire
9 age range, and also to understand what the use
10 patterns for OxyContin are in children, both
11 looking before and after the approval.

12 So here are national estimates of pediatric
13 patients by age, and it's the same three age bands,
14 zero to 1, 2 to 6, 7 to 16, who received
15 prescriptions for oxycodone ER, which for most of
16 this, the only one approved or marketed at the time
17 was OxyContin, dispensed from outpatient retail
18 pharmacies.

19 You can see that the number has consistently
20 declined in the older age group that reflects the
21 wider use of opioids in this age group, and you can
22 see that there's exceedingly little in the lowest

1 age groups. Again, one has to consider these are
2 national estimates, and the likelihood of OxyContin
3 being dispensed for somebody without teeth is
4 pretty unlikely; hence the zero to 1 is not likely
5 to be an accurate representation.

6 So there was a lot of consternation about
7 this. We decided to review our approach for the
8 development of analgesics in children at an
9 advisory committee. This was held in 2016.

10 Some of the topics that were discussed at
11 this meeting were the fact that there's still a lot
12 of challenges in developing analgesics for or
13 studying analgesics in children. There's still a
14 lot of trouble enrolling patients. And I think
15 this reflects the use patterns that we saw.
16 There's not a lot of use overall, and there's
17 really not a lot of use below the age of 7.

18 So there's too few patients. The parental
19 concerns remain, and of course they're legitimate.
20 Then the ethical and logistical concerns still
21 remain, particularly when we're looking at neonates
22 or the very young. It took four years to complete

1 the OxyContin studies, and we didn't get the full
2 enrollment that was initially specified.

3 Also, in general, the understanding of the
4 use of opioids in children is very difficult. I
5 think the headlines that some of us saw around the
6 time of the OxyContin approval really underscores
7 how there's not a good understanding of the
8 management of children with serious pain.

9 So we did this review, and this was also
10 part of the commissioner at the time. Dr. Califf
11 announced an agency opioid action plan, and what
12 was included in there was that we would take
13 pediatric programs to advisory committee. The
14 committees would include representation from at
15 least these three advisory committees, and if
16 necessary, from others. We heard from, at this
17 meeting, a number of FDA and outside speakers.
18 Here's a link if you want to look at some of the
19 details.

20 Just some highlights. There was
21 overwhelming -- and many of you
22 participated -- support for the need for data.

1 Children should not be treated based on just
2 experience if there is an opportunity to also
3 provide healthcare teams with the appropriate
4 information about individual products.

5 It was also discussed that there are in fact
6 pediatric patients whose analgesic needs include
7 opioid analgesics for a variety of conditions, and
8 we had some very interesting contrasts.

9 On the one hand, untreated or poorly treated
10 pain in children can have a lasting effect, even
11 irreversible effects, including sensitization to
12 greater risk of chronic pain in the future.
13 Pediatric patients are also vulnerable to problems
14 with drug use and addiction, opioid use disorder,
15 particularly and differently from adults because of
16 ongoing brain development.

17 This really requires an understanding of how
18 to properly manage pain, properly select patients
19 for whom an opioid is appropriate when we're
20 dealing with children, and to have a lot of
21 education for the prescribers, for the patients,
22 and for their families. Approvals will continue

1 where appropriate when we have enough information
2 based on postmarketing requirements in other
3 studies to assess the safety of these products in
4 children.

5 So which patients are currently being
6 treated with opioid analgesics? I mentioned this
7 earlier, but there are chronic pain conditions or
8 pain conditions that require treatment for two or
9 more weeks. There are not a lot of children who
10 require months or years of analgesics, but some
11 conditions can require weeks or months. For those
12 children, opioids may be appropriate. This
13 includes, of course, cancer and end-of-care type of
14 palliative treatment, post-op pain after extensive
15 surgeries.

16 Really, it's hard to imagine putting a child
17 through spinal orthopedic procedures,
18 cardiothoracic procedures, other types of major
19 surgeries, and not manage their pain post-op.
20 Those types of major surgeries, major corrections,
21 the pain can last for weeks at a pretty serious
22 level.

1 But there's also the acute pain conditions
2 for lesser types of surgeries, many injury or
3 trauma settings, burns and dressing changes.
4 Obviously, we've already mentioned sickle cell,
5 which can have both acute and chronic needs, the
6 key being particularly in studies, but of course in
7 practice that the appropriate patient population be
8 selected. Generally, for these extended-release
9 and long-acting products, enrollment criteria
10 include the need for an opioid for at least
11 2 weeks.

12 The same challenges remain, and we're
13 continuing to work in a variety of settings with
14 companies when we have particular product programs
15 and also through other avenues with pain
16 specialists and academic institutions to try and
17 address some of the challenges with pediatric pain
18 analgesic development.

19 Overall, we have important information gaps
20 that still need to be filled regarding the use of
21 opioid analgesics in patients, how to study these
22 products, and how to use them most safely in

1 children. Currently, we have no evidence that
2 labeling a product with an opioid indication for
3 pediatric patients increases the use. We're
4 continuing to watch that, though, and we'll
5 continue to work with sponsors. We're open to
6 looking at innovative approaches to this type of
7 study, and we are committed to bringing these
8 applications to advisory committees for further
9 discussion, and a list of useful references.
10 Thanks.

11 DR. BATEMAN: So the next presentation will
12 be from Dr. Levin from the FDA.

13 **FDA Presentation - Robert Levin**

14 DR. LEVIN: Good morning. My name is Robert
15 Levin. I'm a medical officer in the Division of
16 Anesthesia, Analgesia, and Addiction Products.
17 This morning I will be talking about the PREA
18 requirement, and since Purdue has already covered
19 the protocol in detail, I will be skipping those
20 slides and proceeding to results of the study, and
21 then finishing with a discussion.

22 In the Butrans approval letter from 2010,

1 the PREA requirement was issued, a PK and safety
2 study for the treatment of moderate to severe or
3 chronic pain requiring continuous around-the-clock
4 opioid treatment for extended period of time in
5 pediatric patients ages 7 through 16.

6 The study was deferred because the product
7 was ready for approval in adults. We decided that
8 an open-label study would be adequate because, as
9 you heard, efficacy can be extrapolated from
10 pediatric patients as young as two years of age.

11 The pediatric requirement for ages birth
12 through 6 years was waived because the number of
13 patients meeting the indication was too small to
14 make studies feasible.

15 We told Purdue that 40 completers with at
16 least 6 months of exposure would be needed to
17 assess safety. As we have heard previously, our
18 policy with regard to studies of opioid analgesics
19 in the pediatric population have evolved since the
20 Butrans approval.

21 Our current recommendations for pediatric
22 studies of extended-release long-acting opioids

1 have changed, and we now require a larger safety
2 database than what was previously told Purdue. We
3 have also learned that it's difficult for pediatric
4 patients to remain in an analgesic study for 6
5 months, and we currently request a 2-week minimum
6 treatment duration.

7 I will be skipping the next three slides on
8 study design and proceeding with the results.

9 The study was conducted over a period of 3
10 years and 9 months. We wanted to have an even
11 distribution of patients across ages, but
12 enrollment was more in the older ages since it is
13 harder to recruit younger patients who meet the
14 inclusion criteria. This is not uncommon in opioid
15 analgesic trials.

16 Of the 41 patients exposed to at least one
17 dose of Butrans, only 6 patients were in the 7- to
18 11-year age group, and those patients were in the
19 older portion of the stratum with a mean age of
20 10.3 and median age of 11. There were 35 patients
21 in the 12- to 16-year age group with a mean age of
22 14.6 and median age of 15.

1 As you can see from this table, which
2 summarizes exposure, older children had a longer
3 duration of treatment. Also, exposure to the
4 highest dose occurred only in the older age group
5 and was of limited duration, with only 10 subjects
6 receiving treatment for at least 2 weeks.

7 The information regarding the painful
8 condition that qualified patients for the study
9 raised questions for us. For example, we do not
10 believe that migraine is an appropriate condition
11 for enrolling subjects in a study for use of this
12 kind of product.

13 I reviewed the reasons for pain at study
14 entry and here is how I tabulated them. We also
15 note that some of the diagnoses do not provide an
16 explanation for the pain. For example, 2 subjects
17 were listed as having Crohn's disease. While one
18 subject was reported as having painful mouth
19 ulcers, which may have been the reason for the
20 pain, the other patient had no additional medical
21 history.

22 We were able to review the medical records

1 for the patients enrolled at one site in
2 study 3031. A review showed that, at study entry,
3 there were limited options beyond opioids for these
4 patients. We now request that the reason for
5 opioid treatment be carefully documented for each
6 patient.

7 We reviewed all the narratives carefully and
8 don't think Butrans was responsible for any serious
9 adverse events, but may have exacerbated the SAE of
10 hypersomnolence. The remainder of the treatment-
11 emergent adverse events were not unexpected for a
12 product like this.

13 I would now like to summarize our findings.
14 We do not recommend Butrans receive an indication
15 for pediatric pain. As we heard earlier, the
16 requirements for the approval of an ER/LA opioid in
17 the pediatric population has evolved from when the
18 Butrans pediatric study was designed and initiated.
19 Most notably, we now require a larger database.

20 I further note that the number of patients
21 in the younger age stratum was very small. Thus,
22 there are inadequate safety information to support

1 an approval in the pediatric population.

2 I do note that in the limited database from
3 this study, there were no unexpected safety issues.
4 We intend to add information about Butrans from
5 study 3031 to the pediatric section of the label.

6 Finally, we believe it is important for
7 future opioid studies to recruit patients with
8 appropriate diagnoses for treatment with opioids
9 and carefully document the reasons for pain. This
10 concludes my presentation. Thank you.

11 DR. BATEMAN: The next presentation is from
12 Dr. Gottipati from the FDA.

13 **FDA Presentation - Gopichand Gottipati**

14 DR. GOTTIPATI: Good morning, everyone. My
15 name is Gopichand Gottipati, and I'm a
16 pharmacometrics reviewer in the Division of
17 Pharmacometrics, Office of Clinical Pharmacology.

18 In the talk this morning, I'm going to cover
19 the considerations for the pediatric extrapolation
20 and FDA's assessment of the applicant's analysis
21 with a specific focus on pediatric pharmacokinetics
22 in study 3031.

1 As summarized by Dr. Hertz in her talk, a
2 full extrapolation approach is acceptable for
3 Butrans. Though Dr. Hertz touched upon
4 extrapolation, I would like to highlight a few key
5 elements of the full extrapolation approach in this
6 slide and as outlined in the pediatric clinical
7 pharmacology guidance.

8 The key questions to address when
9 considering a full extrapolation approach are
10 whether there is similarity in disease progression,
11 response to the intervention, similar exposure
12 response relationship, and if the drug or the
13 active metabolite concentrations are measurable,
14 and they're predictive of the clinical response.

15 If the answer is yes to all of the above,
16 efficacy can be extrapolated from adequate and
17 well-controlled trials in adults to pediatric
18 population.

19 Two original considerations for a further
20 pediatric extrapolation approach are around dose
21 selection and safety. First, pharmacokinetic
22 studies may be needed to support the selection of a

1 target pediatric dose or dosing regimen, which
2 results in an exposure range or distribution that
3 is comparable to adults.

4 In this regard, modeling and simulation can
5 be used as a powerful tool to aid in the
6 identification of the target pediatric dose or
7 dosing regimen. When it comes to safety, it cannot
8 be extrapolated, and the safety database needs to
9 be evaluated at all the proposed doses to be used
10 in the pediatric patients.

11 Switching gears, I'll be presenting a brief
12 regulatory history around Butrans. The
13 buprenorphine transdermal system was approved in
14 adults at doses ranging between 5 and 20 micrograms
15 per hour. A snapshot of the clinical pharmacology
16 highlights the absolute bioavailability is around
17 15 percent. Dose proportionality was established
18 in the range of 5 to 20 micrograms per hour.

19 Buprenorphine undergoes hepatic metabolism
20 by a CYP3A4 pathway, which is assumed to be mature
21 by the age of 7 years. The steady-state exposure
22 levels were achieved in 2 to 3 days.

1 This slide shows the adult pharmacokinetics
2 in adults following 3 successive 7-day
3 buprenorphine Butrans 10 micrograms per hour
4 application. The Y-axis represents the plasma
5 buprenorphine concentrations and X-axis is time.
6 We can see that the Tmax is achieved in around 2 to
7 3 days.

8 Since most of the information was presented
9 in the preceding talks, I would like to draw your
10 attention to two aspects in this light. In the
11 younger age cohort, the dose was initiated at
12 2.5 micrograms per hour, while in the older age
13 cohort, it was initiated at 5 micrograms per hour.
14 The dose titration was based on tolerability and
15 inadequate pain control. It was titrated up to the
16 next higher dose at least 72 hours after treatment,
17 and it was down-titrated again based on
18 tolerability or adverse events.

19 A quick peek into the pediatric PK database,
20 first, the PK blood samples were collected at 5
21 intervals 18 to 24 hours after the first
22 application of Butrans, end of week 1; 2 to 3 days

1 after end of weeks 1, 2, and 3, or a
2 discontinuation if it happens prior to the last
3 scheduled draw.

4 The analysis data set consisted of 41
5 subjects who received treatment, 6 belonged to the
6 younger age cohort while 35 belonged to the older
7 age cohort, and the final pediatric PK data set
8 consisted of 38 patients and a total of 151 plasma
9 concentrations.

10 This table illustrates the distribution of
11 the final titrated dose across the two age cohorts.
12 Younger is shown in blue and the older age cohort
13 is shown in red. What we can see here is that in
14 the younger age cohort, the dose spanned between
15 2.5 to 5 micrograms per hour, while the older age
16 cohort spanned from 2.5 to 20 micrograms per hour.

17 A closer look at the respective plasma
18 buprenorphine concentrations in these age cohorts
19 is shown in this slide. The Y-axis are the plasma
20 buprenorphine concentrations, and on the X-axis is
21 time after dose. The solid lines represent the
22 mean adult pharmacokinetic steady-state exposures

1 at the recommended dose range of 5, which is on the
2 lower end, and on the upper end is 20 micrograms
3 per hour. The data points represent the pediatric
4 buprenorphine exposures after the final titrated
5 dose.

6 The take-home message from this slide is
7 that the buprenorphine exposures observed in the
8 pediatric population from this study are consistent
9 with the observed exposures in adults following the
10 recommended dosing range of 5 to 20 micrograms per
11 hour.

12 Overall, a population pharmacokinetic model
13 was able to characterize the pediatric PK data.
14 This model can be used to perform simulations to
15 identify the target pediatric Butrans dose, which
16 results in the exposures that are comparable to
17 observe in adults. Furthermore, the body weight-
18 based dosing recommendations can be derived to
19 match the pediatric exposures to adults.

20 In line with what was presented by
21 Dr. Levin, due to the small safety database, we did
22 not propose specific dosing recommendations for

1 Butrans. Thank you.

2 DR. BATEMAN: We'll now take a 10-minute
3 break. When we return, we'll do clarifying
4 questions for the FDA.

5 Panel members, please remember there should
6 be no discussion of the meeting topic during the
7 break amongst yourselves or with any member of the
8 audience. We will resume at 10:10.

9 (Whereupon, at 9:59 a.m., a recess was
10 taken.)

11 **Clarifying Questions**

12 DR. BATEMAN: Welcome back. So we'll now
13 have clarifying questions for the FDA. Please
14 remember to state your name for the record before
15 you speak. If you can, please direct questions to
16 a specific presenter.

17 Are there questions for the FDA?
18 Dr. Greene?

19 DR. GREENE: Yes. Forgive me that I've
20 failed to recognize the name of the last presenter
21 on the pharmacokinetic data.

22 On the pharmacokinetic observations related

1 to the pediatric concentrations over time in this
2 pediatric database, is there any evidence of
3 differences in serum concentrations achieved in
4 those patients who were on the therapy for a more
5 prolonged period of time as compared to the shorter
6 courses in some form of fashion?

7 DR. GOTTIPATI: This is Gopichand Gottipati,
8 the pharmacometrics reviewer. We actually did not
9 look at the data, but the plot that I showed is
10 after the final titrated dose.

11 DR. GREENE: In that plot, there's great
12 variability in serum concentrations achieved. And
13 even though the best fit lines kind of look nice
14 and achievable, it certainly gives me some pause to
15 be concerned about some variability there.

16 DR. GOTTIPATI: Yes. That is correct. And
17 I would like to also mention that that's the mean
18 adult PK profile. And as you said, there will be
19 some variability on that.

20 DR. BATEMAN: Dr. Ruha?

21 DR. RUHA: I'm sorry. I was still thinking
22 of the Purdue questions.

1 DR. BATEMAN: We'll come back to Purdue
2 questions later. Dr. Litman?

3 DR. LITMAN: Thank you. So intravenous
4 buprenorphine is approved for pediatric labeling.
5 I assume that's Sharon's slide number 8. Is there
6 any mechanistic plausible reason why the efficacy
7 or safety would be different for a patch vis a vis
8 the actual IV injection?

9 DR. HERTZ: This is Sharon Hertz. We think
10 that based on the available data, the efficacy for
11 the product is extrapolatable. The PK, the
12 absorption across the skin, we do need to
13 understand that there are pediatric differences
14 with the very young, but this isn't really used
15 there. So from the efficacy perspective, no. We
16 think that we can extrapolate.

17 From a safety perspective, we're not allowed
18 to extrapolate data, so we put specific safety
19 measures into the protocol and try to maximize the
20 safety of any patients enrolled. And then within
21 that context, we try to explore what the safety is
22 and if it differs from adults.

1 DR. LITMAN: Thank you.

2 DR. BATEMAN: Dr. Flick?

3 DR. FLICK: Sharon or Ellen, can you tell me
4 how you arrived at a sample size of 40? What drove
5 the sample size, which I think is probably the
6 central question here.

7 DR. HERTZ: This is Sharon Hertz. The
8 sample size was driven by a lack of experience in
9 what could be possible because, at the time it was
10 approved -- Butrans was approved in 2010 -- we
11 really had no sense of whether it would be
12 something that would be useful in children, and we
13 were struggling to have any studies completed. So
14 it was an estimate, not a calculated population
15 size.

16 We have changed what we've been asking for
17 subsequently, so we do ask for more exposure,
18 particularly across different age groups. But even
19 then, it's a balance between the amount of
20 information one would like to have to understand
21 safety and the feasibility of collecting data
22 across the age group.

1 DR. FLICK: So I guess what you're saying is
2 that there was no primary outcome here in terms of
3 safety, so the frequency of adverse events in
4 buprenorphine in adults wasn't used to drive a
5 sample size calculation or anything. This was just
6 chosen based on your expectation of the ability to
7 recruit patients?

8 DR. HERTZ: Yes.

9 DR. BATEMAN: Dr. Havens?

10 DR. HAVENS: Thank you. I had a question
11 about the PK modeling. On slide 10, you suggest
12 that modeling based on body weight could be done.
13 Was it done and what were the results?

14 DR. GOTTIPATI: Based on the sponsor's
15 analysis, they found that the ideal body weight was
16 one of the important covariates, and that was
17 presented by the sponsor.

18 DR. HAVENS: No, it wasn't. They said it,
19 but they never presented the data. So you did not
20 do an independent analysis of that?

21 DR. GOTTIPATI: They believed that the body
22 weight is an important covariate.

1 DR. HAVENS: Well, they said ideal body
2 weight. The backgrounder said ideal body weight.
3 Your slide says body weight, but you did not do an
4 independent analysis to look at that?

5 DR. GOTTIPATI: No, we did not look at the
6 ideal body weight. We think body weight can be
7 used.

8 DR. HAVENS: So when you did the body weight
9 analysis, what's the appropriate dose that you
10 suggested based on body weight? Does it break at
11 50 kilos like the sponsor suggests? Does it break
12 at 40 kilos? What is the body weight to match the
13 adult PK?

14 One of the things you can get from these
15 kinds of studies is an appropriate PK analysis,
16 which is done based on body weight or body surface
17 area, or ideal body weight in certain
18 circumstances, but not based on patient age, given
19 that, as you point out, CYP3A4 is probably okay
20 after a certain age.

21 So then the key parameters of interest are
22 the dose given based on body weight or body surface

1 area, and then the exposure of interest, which in
2 this case you've shown us.

3 So did we do that analysis?

4 DR. HERTZ: This is Sharon Hertz. We don't
5 think we have enough data to put pharmacokinetic
6 information in the labeling based on what was
7 available.

8 DR. HAVENS: Okay.

9 DR. HERTZ: We reviewed the analyses that
10 were conducted. We don't disagree with them, but
11 it's not enough for us to confirm that we think
12 there's enough information from PK, but especially
13 from safety, to inform labeling.

14 DR. BATEMAN: Dr. Patrick?

15 DR. PATRICK: Stephen Patrick. I'm curious
16 if we have safety information from other
17 indications like opioid use disorder in
18 adolescents, any other safety data for
19 buprenorphine in adolescents from other studies
20 that we can utilize in this, or is that not able to
21 be utilized in these discussions?

22 DR. HERTZ: In the context of using

1 buprenorphine for other indications, it's
2 challenging to use that in this setting because in
3 opioid use disorder, obviously the safety profile
4 is going to reflect that difference in the
5 population, and in the management of analgesics,
6 it's different.

7 The differences in the population, that I
8 think are critical for not relying on one to
9 support the other, would be the fact that in opioid
10 use disorder, there may be exposure to other
11 illicit substances, other co-occurring use
12 disorders. And in the analgesic setting, it's
13 going to be important to consider what the
14 underlying condition is and how the effects of
15 titrating the opioid to effect impact the overall
16 safety.

17 The dosing paradigm for pain is different
18 than the dosing paradigm for opioid use disorder.
19 In opioid use disorder, you're going to target
20 potentially a blocking dose, at least early on, and
21 in analgesia, you're really managing according to
22 symptoms.

1 So I think, in general, we're reluctant to
2 use that to support use. It's important to use it
3 for signal detection if there was anything specific
4 that we needed to worry about.

5 DR. PATRICK: Sorry. Just a quick follow-
6 up. That's actually part of what I'm asking about,
7 some of the signals that we see with QT
8 prolongation. Do we see similar trends in the data
9 at least that are available, if there are data
10 available, for adolescents and other indications?

11 DR. HERTZ: I have to go back and look to
12 see what the data are for the PK prolongation in
13 adolescents, but the amount of data available for
14 that population is still fairly limited.

15 DR. BATEMAN: Ms. Robotti?

16 MS. ROBOTTI: I'm concerned about the
17 extended and constant exposure to opioids in
18 developing brains as compared to mature brains, and
19 this is something that's perhaps known, but it's
20 not known to me. I'm not a medical doctor.

21 But do we know anything about the effect of
22 instant-release opioids on children and their

1 developing brains versus the constant regular
2 exposure that a transdermal patch would give, and
3 what the long-term effects are on addiction or pain
4 reaction, anything like that?

5 DR. HERTZ: This is Sharon Hertz. We
6 explored that in our 2016 advisory committee, and I
7 can't do justice to that presentation. But your
8 concern is appropriate that exposing the adolescent
9 brain to opioids can have repercussions regarding
10 risk for opioid use disorders in the future.

11 With regard to whether an immediate release
12 versus extended release impacts that, I don't
13 believe the data drill down adequately to separate
14 that. However, in general, one should never use an
15 extended-release product or a long-acting product
16 if an immediate-release product dosed as needed is
17 suitable.

18 What we hope occurs with dosing opioids,
19 both in children but also adults, is that first the
20 decision to use an opioid is based on a series of
21 factors: is an opioid necessary; can non-opioid
22 measures be used? If non-opioid, or even non-

1 pharmacologic approaches, are inadequate and an
2 opioid is initiated, it should be started carefully
3 with perhaps combination opioid/non-opioid
4 products, which have dose limitations based on the
5 non-opioid. And it should be used as needed unless
6 around-the-clock analgesia is necessary.

7 For instance, post-op pain doesn't stop when
8 you go to sleep, but some musculoskeletal pains may
9 not be as intense when people are at rest and not
10 weight bearing.

11 So as clinicians choose to initiate opioid
12 therapy, we hope that there's not a situation in
13 which intermittent dosing with an immediate-release
14 product is overlooked and replaced by extended-
15 release, around-the-clock dosing.

16 So in that light, hopefully if a pediatric
17 patient is managed with an extended-release
18 product, it's really because their pain is around
19 the clock, their pain is at the level requiring an
20 opioid and can't be managed with other products,
21 that the dose is carefully titrated and the
22 duration of use is limited to absolutely only as

1 long as necessary. And then the distinction of the
2 effect of the IR versus the ER type of product
3 becomes secondary because in that setting, the IR
4 on an intermittent basis would not be sufficient.

5 DR. STAFFA: This is Judy Staffa. I'd just
6 like to follow up and add just one more piece to
7 that. In support of that meeting last September,
8 our office tried to look into the question of, if
9 we expose children to therapeutic analgesics, does
10 that increase their risk for misusing or abusing,
11 becoming addicted down the road in the future.

12 We found only one study that has actually
13 addressed that. It's from Monitoring the Future,
14 where 12th graders were asked about having a
15 therapeutic prescription for analgesia of an
16 opioid, and then looked at the risk in the next
17 year or two for developing misuse or abuse
18 behaviors. And the risk was about a 33 percent
19 increase.

20 So it's not huge, it's not small, it's one
21 study. We'd love to see more work in that area,
22 and there was no ability to look at which agent,

1 what formulation, or any other details of that.

2 But that's about all we know.

3 **Open Public Hearing**

4 DR. BATEMAN: We'll now move on to the open
5 public hearing. Both the Food and Drug
6 Administration, the FDA, and the public believe in
7 a transparent process for information-gathering and
8 decision-making. To ensure such transparency of
9 the open public hearing session of the advisory
10 committee meeting, FDA believes it's important to
11 understand the context of an individual's
12 presentation.

13 For this reason, FDA encourages you, the
14 open public hearing speaker, at the beginning of
15 your written or oral statement, to advise the
16 committee of any financial relationship that you
17 may have with a sponsor, its product, and if known,
18 its direct competitors.

19 For example, this financial information may
20 include the sponsor's payment of your travel,
21 lodging, or other expenses in connection with your
22 attendance at the meeting. Likewise, FDA

1 encourages you, at the beginning of your statement,
2 to advise the committee if you do not have any such
3 financial relationships. If you choose not to
4 address this issue of financial relationships at
5 the beginning of your statement, it will not
6 preclude you from speaking.

7 The FDA and this committee place great
8 importance in the open public hearing process. The
9 insights and comments provided can help the agency
10 and this committee in their consideration of the
11 issues before them.

12 That said, in many instances and for many
13 topics, there will be a variety of opinions. One
14 of our goals today is for this open public hearing
15 to be conducted in a fair and open way, where every
16 participant is listened to carefully and treated
17 with dignity, courtesy, and respect. Therefore,
18 speak only when recognized by the chairperson.
19 Thank you for your cooperation.

20 Will speaker number 1 step up to the podium
21 and introduce yourself? Please state your name and
22 any organizations you represent for the record.

1 DR. POLANIN: Thank you for the opportunity
2 to speak today. My name is Dr. Megan Polanin. I
3 am a senior fellow at the National Center for
4 Health Research, and I previously trained at Johns
5 Hopkins University School of Medicine.

6 Our research center analyzes scientific and
7 medical data and provides objective health
8 information to patients, providers, and
9 policymakers. We do not accept funding from
10 industry, so I have no conflicts of interest.

11 Our center strongly supports the purpose of
12 the pediatric assessments under the Pediatric
13 Research Equity Act to obtain data that will
14 support the safe and effective use of new drugs in
15 pediatric patients who already use or who may
16 benefit from their use. We also support the
17 purpose of this FDA meeting to discuss potential
18 additions to the labeling of an opioid analgesic
19 intended for use in children ages 7 to 16.

20 It is important to address the medical needs
21 of children as effectively and safely as possible.
22 Most opioid analgesic products have not been

1 studied in pediatric populations, and there is a
2 lack of pediatric use information in drug product
3 labeling. It is critical to provide clinicians
4 with age-appropriate information regarding the
5 safety and pharmacokinetics of opioid analgesics.
6 This is only possible when we have evidence from
7 high-quality clinical trials in children.

8 We are concerned that study 3031 is too
9 small, too short, and includes some patients who
10 are not appropriate to be in it. Despite what the
11 company described as extensive recruitment efforts,
12 the current required postmarket study included only
13 41 patients, 35 of whom were ages 12 to 16 and only
14 6 patients were ages 7 to 11.

15 Although the total sample size meets the
16 initial requirement for the study, the recommended
17 size of the sample has subsequently increased from
18 40 to 125 patients for the 12 to 17 age group and
19 50 patients for the 7 to 11 age group.

20 FDA's advice for the study was that 40
21 completers have at least 6 months of exposure in
22 order to assess safety. However, only 12 patients

1 were exposed to Butrans for 24 weeks or longer, all
2 of whom were in the 12- to 16-year-old age group.

3 In addition, patients in this trial had 19
4 different primary conditions that various
5 investigators judged to meet the eligibility
6 criteria for the study. FDA reviewers noted that
7 some of these conditions do not generally reflect
8 the currently accepted indications for the use of
9 ER/LA opioids for children and adolescents.

10 Our questions are, why include information
11 about a study that is flawed and does not meet
12 current standards? Will the proposed labeling be
13 helpful for providers and patients in real-world
14 clinical settings? Will it be misleading?

15 We are concerned that including the
16 information will tend to encourage physicians to
17 prescribe the drug to children. The small sample
18 size and duration of treatment for most children
19 are not adequate to provide evidence that this drug
20 is safe for children. For example, 5 patients
21 discontinued trial participation due to ECG-related
22 adverse events, and Butrans could not be ruled out

1 as a contributor for three of these patients.

2 Serious adverse events also led to study
3 discontinuation for three other patients. Twenty
4 percent of patients experienced treatment-emergent
5 serious adverse events that were more common in the
6 7- to 11-year-old age group. The researchers
7 concluded that events were not caused by the drug.

8 It is important for this panel and the FDA
9 to make decisions about drug labeling based on good
10 science and strong data. We concur with the 2016
11 advisory committee that because pediatric patients
12 are vulnerable to drug use and addiction due to
13 ongoing brain development, proper prescribing,
14 patient selection, and education are crucial to
15 optimize safety in this population.

16 Results of study 3031 do not provide
17 sufficient evidence to inform healthcare providers
18 about the safe use and proper dosing of Butrans in
19 the management of pain for pediatric patients. We
20 urge this advisory committee to advocate for
21 pediatric patient safety and urge the FDA to
22 require that the drug be appropriately evaluated

1 before allowing information about Butrans to the
2 pediatric section of the labeling.

3 Thank you for the opportunity to share our
4 perspective.

5 DR. BATEMAN: Thank you. Will speaker
6 number 2 step up to the podium and introduce
7 yourself? Please state your name and any
8 organization you represent for the record. Speaker
9 number 2?

10 (No response.)

11 **Clarifying Questions (continued)**

12 DR. BATEMAN: That concludes the open public
13 hearing. We'll now move on to questions for the
14 sponsor, remaining questions for Purdue. Dr. Ruha?

15 DR. RUHA: Yes. I was just curious. This
16 is Michelle Ruha. We heard that most of the
17 children enrolled were previously on opioids, and
18 several times it was mentioned that the opioids
19 were tapered down. I just wanted to clarify, were
20 they tapered off or down? And if they were still
21 on opioids, did any of the children have withdrawal
22 syndrome when the Butrans was initiated?

1 MS. BALDRIDGE: So by protocol, there were a
2 couple of different parameters for that incoming
3 opioid and the taper. First, patients 7 to 11 had
4 to be on doses of under 40 milligrams a day of
5 morphine equivalent to be considered. Patients in
6 the 12- to 16-year age group had to be on less than
7 80 milligrams a day of morphine.

8 If they were at those higher doses, they
9 were tapered down, not off, for the older age group
10 tapered down to 30 milligrams a day of morphine or
11 equivalent, and the younger age group tapered down
12 to 15 milligrams a day of morphine or equivalent,
13 and then initiated therapy on Butrans.

14 Adverse events were monitored throughout the
15 study. There were no events of withdrawal. That
16 was monitored at clinic visits through clinical
17 assessment and judgment of the investigator, and
18 those events were not described in the study.

19 DR. BATEMAN: Dr. McCann?

20 DR. MCCANN: Hi. I actually have two
21 questions. One is for Dr. Fanelli, slide 10. Did
22 you look at how many pediatric patients were

1 getting both opioids and ondansetron? Do you have
2 any information about that?

3 DR. FANELLI: This data is the data that
4 Dr. Hertz was also mentioning that was done by FDA.
5 But in terms of in this database, I'm not aware
6 that those combinations were studied.

7 MS. BALDRIDGE: It's my understanding that
8 this database was exploring utilization of opioids.
9 I'll let Dr. Hertz or Dr. Staffa speak to it.

10 DR. HERTZ: We don't have that information.
11 We did not conduct that analysis.

12 DR. MCCANN: My second question is for
13 Ms. Baldrige, slide 49. So it looks to me that 2
14 out of the 41 patients had mild prolongation of
15 their QT. Is that the same? It works out to be
16 about 5 percent, and I realize it's a very small
17 sample. Is that what you find in adults?

18 MS. BALDRIDGE: I will ask Dr. Iyer to speak
19 to our adult data. We have some information about
20 the rate of frequency in adults, and then we also
21 have information about clinical events if we need
22 to look at that as well. Dr. Iyer?

1 DR. IYER: Slide 1 up, please. There were
2 two detailed thorough QTc adult studies performed.
3 In the first study, the 1011 did not show any
4 evidence of QT prolongation with 10 micrograms per
5 hour. But with the supertherapeutic doses of
6 40 micrograms and 80 micrograms per hour, there was
7 a 9.2- and 11.4-millisecond increase in the maximum
8 mean QTc.

9 Now, when we look at the ICH E14 guidelines,
10 less than 5-millisecond increase is not
11 proarrhythmic. Greater than 20-millisecond
12 increase in the mean QTc is associated with
13 proarrhythmic events. Any number between 5 and 20
14 is inconclusive. This was the adult data.

15 Slide 2 up, please. In the pediatric data,
16 the mean change was negative 4.1 milliseconds in
17 the 7 to 11 age group and a 5.8-millisecond
18 increase in the 12 to 16 years' age group.

19 Compare the adult data, which was not a
20 thorough QT study, but looking at 5, 10, and
21 20 micrograms per hour and measuring the placebo-
22 corrected QTc, the increase was minimal.

1 So the answer to the question, if we compare
2 the two studies apples to apples, the adult QTc
3 data, this study and the pediatric study, the QTc
4 increase is minimal. The two patients that had QTc
5 prolongation were also unfortunately on other
6 medications that potentially could explain, but our
7 criteria to discontinue therapy were very
8 conservative.

9 DR. MCCANN: But wasn't that an exclusion
10 criteria if they were on medications that could
11 prolong QTc?

12 DR. IYER: Yes, it was.

13 DR. BATEMAN: Dr. Havens?

14 DR. HAVENS: Thank you. I'd be interested
15 to see the results of the dosing or the exposure
16 data by weight, weight-based dosing. Do you have
17 that.

18 MS. BALDRIDGE: Dr. Kapil?

19 DR. HAVENS: Then if you could show us why
20 you decided that ideal body weight-based dosing is
21 better than plain body-weight dosing, that would
22 also be interesting.

1 DR. KAPIL: Thank you. Consistent with the
2 guidance, we leveraged adult pop PK data, which we
3 had available, and from this study 3031, we were
4 able to get sparse sampling, which is one the
5 approaches for pediatrics. So we had about 151
6 samples, PK samples.

7 We used the simulation approach where what
8 we did was we leveraged adult data where we
9 adjusted for the PK parameters like clearance and
10 volume distribution, adjusted to the body weight
11 using a fixed allometric exponent. For clearance,
12 we used 0.75; for volume distribution, we used 1.

13 So we did extensive simulations, and at that
14 stage, we used ideal body weight to gauge an idea.
15 This was to initiate therapy, where prior
16 information in pediatrics was not available. We
17 were leveraging adult data.

18 With that in mind to answer your question,
19 if we go to slide CP-3, if possible, these are the
20 results of our simulation based on adjusting the
21 body weight. I apologize for the extensive data,
22 but what we are showing here is using national

1 averages for age 7 to 16, and what their median
2 ideal body weight is, and based on a model, what
3 will be the projected dose.

4 This example shows where we are trying to
5 target an adult dose of 10 micrograms per hour. So
6 if we focus on the last rows here, you can just see
7 that the ideal weight for 7- to 11-year age group
8 is around 34. And if you go to this matrix, it
9 turns out to match the 10-microgram per-hour dose
10 in adult, you would require around a 5.9-microgram
11 per-hour dose for the smaller age group.

12 Similarly, when you look at 12 to 16 years
13 old, the model predicted that you would require 8.6
14 to match the systemic exposure. And if we look at
15 the whole panel, you could also see where we end up
16 for sudden AUCs, whether we use 2.5 all the way to
17 20 micrograms per hour, and based on the ideal
18 weight, what will be systemic exposure in this
19 shot.

20 So this was what was used to initiate
21 therapy. And once we initiated the therapy -- and
22 I would like to draw your attention to CP-25,

1 please. This is all the data set we have. The one
2 shown in yellow is the subjects from 7- to 11-year
3 age category. As you'll recall, we initiated both
4 sets of ages at two sets of doses. The 7 to 11 was
5 initiated at 5, and then they were titrated based
6 on their analgesic needs. The 12- to 16-year-olds
7 were titrated up to the needs of their analgesic
8 needs.

9 Now, if we focus here, this example here
10 shows where we would be because the initial adult
11 dose is 5 micrograms per hour, and at 5 micrograms,
12 adult dose, our targeted area under the curve at
13 steady state is 17 nanograms per hour per mL, which
14 is shown right here on the left-hand side.

15 If I could draw your attention to the last
16 column, this is how all 38 subjects ended up, based
17 on the model and based on the individual rate of
18 each. Now, we are talking total body weight, not
19 the ideal weight, the body weight of each
20 individual across these 38 subjects, and then we
21 use their observed plasma concentrations.

22 The expected area under the curve at steady

1 state at a 5-microgram per-hour dose is shown in
2 the last column. If you focus on the blue area,
3 that represents the range of expected area. Our
4 goal was to target 17. And if you look at the
5 yellow area, the expectations are as predicted,
6 that they are 2X of the adult exposure.

7 So again, that was very consistent with our
8 study where we initiated the lower age group at
9 2.5 micrograms, which is what our model predicted,
10 and you can see the exposure.

11 If we could summarize this, and slide 1 up,
12 please? Our target here, as shown in the blue on
13 the right-hand side, is 17 nanograms hour per mL.
14 This is the AUC for adult when you give a
15 5-microgram per-hour dose. And based on our study
16 in 38 subjects, we were able to match that with the
17 two sets of dosing conditions as shown.

18 DR. HAVENS: Can I ask a follow-up? Can we
19 go back to that prior slide?

20 So the 22-kilo kid had an AUC of 49? If I
21 remember, that is similar to the AUC that you would
22 expect to see in somebody who's getting the

1 40-microgram per-hour patch as an adult. Is that
2 right? So could we say here that dosing children
3 at body -- you're focused on age. Let's focus on
4 body weight for a little bit. And you've got those
5 kids at the lowest body weight. Some of them are
6 22 kilos, pretty small. His AUC is 46.

7 Is that the one who had the QT prolongation?
8 Is there a PK/PD relationship that we can find
9 between drug exposure and toxicity in this data
10 set? You seem to suggest that that relationship
11 exists because you don't use an adult dose greater
12 than 40 micrograms per whatever it is, hour, so
13 that suggests that there is an exposure response
14 relationship to toxicity.

15 Is that the kid? The slide that we saw from
16 the FDA suggested there were two people with these
17 very high exposures that were outside of the
18 standard adult range. Are those the kids who had
19 the QT prolongation?

20 DR. KAPIL: If we could go to slide --

21 MS. BALDRIDGE: I'll let Dr. Kapil follow
22 up, and he's going to speak to the PK exposure in

1 patients with the cardiac AEs. That younger
2 patient with the weight of 22 kilos was not one of
3 the patients with QT prolongation. It did not
4 occur in the younger population.

5 In pediatrics, age is often used as a proxy
6 for weight. In the protocol, initial dosing was
7 determined by age, but then the PK modeling gave us
8 additional information about the influence of
9 weight in the model. And I'll let Dr. Kapil follow
10 up on that.

11 DR. KAPIL: I was just going to add one
12 thing, that interindividual variability is pretty
13 inherent. And when we look at the patch data in
14 adults, when we look at the data at 20 micrograms
15 per hour, a 20-microgram per-hour patch versus 40,
16 you will always find some overlap, which we see
17 that in the context that there are some, for lack
18 of a better word, outliers, which we cannot
19 ascertain why the levels were higher.

20 But to answer your other question about the
21 relationship, as you recall, the data is sparse,
22 and the design of the study was focused on

1 comparable exposure to adults at this point.

2 DR. HAVENS: But the other issue that comes
3 up is you didn't really do an ideal body weight
4 analysis. But because in a transdermal system, if
5 you're under weight from your ideal body weight,
6 you may absorb more, and if you're over weight from
7 your ideal body weight, you may absorb less. So
8 that would become an important consideration in
9 this kind of an analysis.

10 So that's why I was interested. And I
11 really, really appreciate your showing these data.
12 Thank you very much.

13 DR. KAPIL: We fully appreciate your
14 questions. Thank you.

15 DR. BATEMAN: So I have a question for
16 Ms. Baldrige. Can we pull up slide CC-30? As I
17 understand it, the lowest dose that's available on
18 the market is 5 micrograms per hour. When the
19 protocol was designed, why did you allow for dosing
20 at 2.5 micrograms per hour in the older age group?
21 I mean, I see here that 15 of the 35 patients were
22 titrated down to that lower dose.

1 So if we're doing a safety study, trying to
2 evaluate the medication, it's a bit concerning to
3 me that half the cohort received a dose that
4 wouldn't be accessible to clinicians.

5 MS. BALDRIDGE: So I have a couple of points
6 to address that question. In the older age group,
7 the use of the 2.5-microgram per-hour patch was in
8 one patient used as a starting dose, which was a
9 special exception to the protocol starting dose.

10 The remainder of those patients, that was
11 used at the end of therapy as patients had to be
12 tapered down off of the product. We initiated the
13 2.5-microgram per-hour dose in the trial to allow
14 recruitment of that younger patient population
15 because that was the appropriate starting dose.

16 DR. BATEMAN: Dr. Zacharoff?

17 DR. ZACHAROFF: Hi. Kevin Zacharoff.
18 Ms. Baldridge, since you're up there, I have a
19 couple questions. I'll start with you.

20 You mentioned in the course of your
21 presentation about supplemental pain medication
22 being administered to the study subjects,

1 presumably for breakthrough pain?

2 MS. BALDRIDGE: Correct.

3 DR. ZACHAROFF: Do you have some more
4 information regarding what was used and some data
5 regarding needs for supplemental pain medication?

6 MS. BALDRIDGE: I do. So by protocol,
7 supplemental immediate-release opioids were allowed
8 as patients were on Butrans. We didn't have any
9 restrictions about rescue analgesia used on the
10 study.

11 Slide 1, please? This slide shows a summary
12 of supplemental pain medication in both age groups.
13 The most commonly used immediate-release opioids
14 while patients were on study were Vicodin,
15 tramadol, and oxycodone. And as you can see in the
16 top line of the table, 40 patients of 41 took some
17 supplemental analgesic during the trial.

18 Patients also took non-opioids. I can
19 provide that summary as well, but this is limited
20 to the opioids used on study.

21 DR. ZACHAROFF: So all of those were
22 administered orally?

1 MS. BALDRIDGE: Correct.

2 DR. ZACHAROFF: Thank you. Then I have a
3 question for Dr. Fanelli.

4 Dr. Fanelli, in your second slide of the
5 product description, it said, "For the management
6 of pain severe enough to require daily, around-the-
7 clock, long-term opioid treatment and for which
8 alternative treatment options are inadequate."

9 Would treatment with IR formulations of
10 opioids be included in alternative treatment
11 options that are inadequate?

12 DR. FANELLI: Yes, it would. And that is
13 the revised indication for these products.

14 DR. ZACHAROFF: Okay. So in my mind, then,
15 someone who is tolerating and being well managed on
16 immediate-release opioid therapy would not
17 necessarily be a candidate for this medication?

18 DR. FANELLI: Yes, that's correct.

19 DR. ZACHAROFF: Then with respect to the
20 long-term opioid treatment, I've heard post-
21 operative pain mentioned a couple of times here
22 today. And as a pediatric anesthesiologist, I'm

1 not a hundred percent sure that I consider post-
2 surgical pain to be something that I would consider
3 to be a requirement for long-term opioid treatment.

4 I'd just like some clarification with
5 respect to that because I would be very concerned
6 if somebody said that they were going to use this
7 medication as something right out of the gate, that
8 they would want to use it to treat post-surgical
9 pain in a pediatric patient population.

10 MS. BALDRIDGE: So in the protocol,
11 eligibility was determined by patients with
12 moderate to severe pain requiring or anticipated to
13 require at least 2 weeks of treatment. So for some
14 very complicated surgical procedures, it is
15 possible that pain can persist beyond 2 weeks.

16 We did have patients post-surgical procedure
17 enrolled on the study. The first was a patient who
18 underwent a repair of pectus excavatum. The second
19 was a patient with a hemipelvectomy with underlying
20 Ewing's sarcoma. And we had 2 patients who had
21 traumatic injuries, one with a gunshot wound and
22 one with a limb-crushing injury who also had some

1 associated surgery.

2 So these were complex patients who were
3 expected to need at least 2 weeks of around-the-
4 clock opioids, and they had to be at least 48 hours
5 from the surgical procedure to be considered for
6 enrollment.

7 DR. HERTZ: Excuse me. This is Dr. Hertz.
8 And I'd just like to speak to this a little bit as
9 well because that language and how we've integrated
10 that language is not a program-specific concept.

11 When we were deciding whether or not it was
12 appropriate to study extended-release or long-
13 acting products in pediatric age ranges, what we
14 did was we looked at utilization data to see if it
15 was being used because the underlying concept of
16 when to study products in pediatric age groups is
17 based on whether or not there's a need. If there
18 was no need for any of these products to be studied
19 in these age groups, we would not request or
20 require them.

21 So for instance, we don't request or require
22 studies for these extended-release products below

1 the age of 7. The use is exceedingly limited, and
2 the studies are not really even feasible. But we
3 do know that there is use of these products in a
4 subset of pediatric patients with pain over the age
5 of 7. So we do require companies to attempt to
6 study these products in that age range because we
7 anticipate there could be use, and we want
8 information available to prescribers.

9 When we explored the data about how these
10 products were being used and when we spoke with
11 different clinicians, what we found was that there
12 are patients with cancer and other diagnoses that
13 are consistent with the use in adults, the
14 appropriate use of these products in adults. But
15 there was a pattern of use in certain post-
16 operative patients, particularly in this setting,
17 or the setting that was described, that these
18 patients typically require 2 to 4 weeks of
19 treatment with an opioid, and clinicians were
20 selecting extended-release products because they
21 basically wanted to allow the children to be dosed
22 less frequently and to have less interrupted sleep.

1 So in order to get information from the
2 relevant population where we thought these products
3 would be used based on existing practice patterns,
4 we described in our PMRs, our postmarketing
5 requirements, and in other settings like the
6 studies described in written requests that this
7 population would be acceptable to help fulfill
8 enrollment and understand the safety and PK.

9 DR. ZACHAROFF: So with respect to using
10 these patients as study participants, it totally
11 makes sense, but I'm used to the term "long-term
12 opioid treatment" meaning something else in the
13 context of chronic opioid analgesic therapy.

14 DR. HERTZ: That's true. And the other
15 distinction with the adult population is when we do
16 these studies in children, it's not the first
17 opioid. Somebody who has failed, an adult who has
18 failed immediate-release opioids used on an
19 intermittent basis or a more regular basis can be
20 moved on to an extended-release product. But there
21 are some adults who develop a condition where their
22 pain is suitable for around-the-clock treatment

1 early on, and many of the extended-release products
2 come in low enough doses, strengths, to be safe to
3 initiate treatment. But that's a much harder
4 situation to consider in children because of their
5 smaller size, their smaller weights, their younger
6 age.

7 So we want to know that the children can
8 tolerate a dose of opioid that's as consistent as
9 can be calculated with the lowest available dose of
10 the opioid or that can be created in an age-
11 appropriate formulation, hence the 2.5 dose with
12 this product.

13 Companies are required to create an age-
14 appropriate formulation when it's necessary because
15 the existing adult or the existing marketed doses
16 are too high. They are not required to market
17 those doses, but they are required to develop them
18 for these studies.

19 The only time when that doesn't occur is
20 when the formulation cannot be developed in a lower
21 strength, and that's based on the chemistry and
22 manufacturing parameters. And we actually require

1 submission of a development report to support any
2 contention that that lower strength could not be
3 formulated.

4 DR. BATEMAN: Dr. Flick?

5 DR. FLICK: My question was answered.

6 DR. BATEMAN: Dr. Schmid?

7 DR. SCHMID: Yes. Chris Schmid. I just
8 wanted to verify the 7- to 11-year-olds, there were
9 6 of them. Correct?

10 MS. BALDRIDGE: Correct.

11 DR. SCHMID: The mean age was 10.3. So
12 there was only 1 child under the age of 10, is that
13 correct, and 1 child who was 10?

14 MS. BALDRIDGE: There was an 8-year-old, and
15 if we can, pull up the demographic slide, or if we
16 have the slide on age and weight. I'm trying to
17 work from memory, but that sounds accurate. I
18 think there was one 8 year-old, two or three
19 10 year-olds, and an 11 year-old?

20 DR. SCHMID: Yes. Well, the way I calculate
21 it, there's one 8, one 10, and then the others are
22 11, I think.

1 MS. BALDRIDGE: I apologize. I don't have
2 those details.

3 DR. SCHMID: Thanks.

4 DR. BATEMAN: Dr. Litman?

5 DR. LITMAN: My question has been answered.

6 DR. BATEMAN: Ms. Robotti?

7 MS. ROBOTTI: My question's been answered.

8 DR. BATEMAN: Dr. Greene?

9 DR. GREENE: So I have two questions just to
10 be clear. Dr. Kapil I believe will have to address
11 this. But just help me to fully understand this
12 sparse sampling strategy for pharmacokinetics.
13 It's really kind of -- I'm not sure what you're
14 trying to tell me about that.

15 MS. BALDRIDGE: Sure. I'll ask Dr. Kapil to
16 give the details, but in the study, we applied the
17 sparse sampling, which means there were few samples
18 for each patient, not intense sampling over
19 sequential repeated hours of sampling and over
20 24 hours.

21 The patients had up to 5 samples during the
22 first 4 weeks of treatment. Four of those samples

1 were obtained after a steady state was achieved, so
2 after 3 days on treatment, the subsequent samples
3 were achieved at steady state. I'll let Dr. Kapil
4 speak to the rationale for that approach and
5 support of the study.

6 DR. KAPIL: Thank you. Because of ethical
7 reasons and because of consent, it's becoming very
8 clear that there are only so many samples we can
9 take. And in the emerging field of
10 pharmacometrics, which our agency is really on top,
11 they have put in the guidance that you can leverage
12 adult data to get things going.

13 It has also become very clear that size
14 matters. Body weight plays a big role. So what we
15 did was in our so-called population pharmacokinetic
16 model from adults, we leveraged that data and
17 incorporated -- adjusted the PK parameters for body
18 weight.

19 When we did, and we then followed up again
20 per guidance simulation -- that's another technique
21 which is becoming very common, modeling and
22 simulation -- in that spirit, we were able to

1 generate data where we maximized what we had. We
2 had 38 patients with 151 PK samples. And when we
3 simulated the data, what we did was we relied on
4 each -- that's how we initiated the therapy, and
5 then we collected the samples, and then we
6 revisited the data.

7 What we found, our study suggested was that
8 body weight -- if you could recall, age and body
9 weight are very closely correlated in this small
10 age span of 7 and 16, so we didn't have to add age
11 as a covariate. Body weight basically explained
12 everything, and we used that technique. And it's a
13 well-accepted technique where we take sparse
14 sampling in children and then leverage prior data.

15 DR. GREENE: But again, just to be clear, we
16 had a standardized approach to drug concentration
17 sampling, and we had samples in every patient.

18 MS. BALDRIDGE: We had samples in 38
19 patients, 151 samples. We sampled all patients,
20 and levels of quantifiable samples were present in
21 38 patients.

22 DR. GREENE: Great. One other question,

1 then, for you, Stacy, if you don't mind.

2 I know you're saying that patients were not
3 supposed to be enrolled if they were on medications
4 that prolong QTc, and yet, you indicate that some
5 patients were exposed to other drugs that prolong
6 QT.

7 So I guess the question I have is, what is
8 the experience? In the real world, we're not going
9 to be able to, pragmatically -- we're going to
10 struggle with preventing patients from getting
11 those concurrent therapies if we say this drug can
12 be used.

13 So our experience in this population being
14 exposed inadvertently, or whatever, is very
15 important, to me at least. So is there enough data
16 to actually describe in X number of patients there
17 was concurrent exposure and these were the
18 outcomes?

19 MS. BALDRIDGE: So we do not have that
20 analysis at this time. We could provide it to the
21 agency at a later date. I will say, at study
22 entry, the restriction of protocol restricted

1 concomitant medications, there was great compliance
2 at study entry. The challenge came when these kids
3 presented to the emergency room or were potentially
4 treated by another physician, but it was still
5 limited.

6 Investigators were able to follow the
7 protocol, and in addition, that list changed. That
8 list of prohibited medications changed throughout
9 the course of the study. It was very intense
10 education and awareness for the investigators and
11 study staff, but there were isolated incidents. We
12 did not analyze those separately, but could take
13 that under consideration.

14 DR. GREENE: I just think that would be
15 meaningful information because that's going to
16 happen in real life.

17 DR. BATEMAN: Any further questions for the
18 sponsor or for FDA?

19 (No response.)

20 DR. BATEMAN: So if not, we'll move to the
21 charge to committee. Dr. Sharon Hertz will now
22 provide us with the charge.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Charge to the Committee - Sharon Hertz

DR. HERTZ: It's been very interesting to hear the questions and the discussion, and I thank you for the careful attention that's being paid to this application.

We're going to ask you about any concerns regarding the data. Now, obviously, we're all aware that the study itself was small and limited, so what are concerns? And then discuss whether information should be added to the label.

Let me just say that we have a number of options and we didn't specify them in detail. But what we can do and what we often do when we have studies that are not sufficient to support an indication is a variety. There's a range.

We can say that a study was conducted, describe it briefly, and then we can say whether or not it supports any conclusions. We can provide a lot of information if we think the information is as good as will be gotten and is important for the labeling. Basically, at this point, given what you've heard about the use of the product, the

1 information that could be obtained, that's the
2 background we'd like you to consider as we go
3 through these discussion points.

4 There's no vote here because there's not a
5 new indication to vote on. There's not an approval
6 of sorts in that context. It's really whether the
7 study should be represented in some manner, and if
8 so, we'd like to hear your thoughts.

9 **Questions to the Committee and Discussion**

10 DR. BATEMAN: We'll now proceed with
11 questions to the committee and panel discussions.
12 I'd like to remind the public observers that while
13 the meeting is open for public observation, public
14 attendees may not participate except at the
15 specific request of the panel.

16 So discussion question 1, discuss any
17 concerns you have regarding the data from the
18 evaluation of Butrans in pediatric patients. Are
19 there any questions or concerns regarding the
20 wording of the question?

21 (No response.)

22 DR. BATEMAN: So if not, we'll now open the

1 question to discussion. Dr. Greene?

2 DR. GREENE: I guess I'll go ahead and just
3 express some concerns that I have in the beginning.
4 It seems that even though the numbers are small in
5 this data set, we're missing the opportunity to
6 address at least some information by not having
7 better analysis of those patients that were
8 continued beyond some 2-week, 4-week, whatever
9 period of time instead of just that limited first
10 two weeks.

11 Then secondly, the comments that I made
12 about the QT-prolonging drugs that were given in
13 the data seems to me to be information we ought to
14 have.

15 DR. BATEMAN: Other comments? Dr. Patrick?

16 DR. PATRICK: Stephen Patrick. I think my
17 concern is with the QT prolongation, and the
18 limited amount of data that we have, and also which
19 population is going to be utilizing this
20 medication.

21 It strikes me that it's a population that's
22 going to be fourth line, that's going to be

1 commonly prescribed other medications. So I just
2 wonder about the practical application and worry
3 about broad mission creep, where we see this
4 medication being used more broadly in other
5 populations. But it just strikes me that we don't
6 have enough data on the QT prolongation issue and
7 what that actually means.

8 DR. BATEMAN: Dr. Flick?

9 DR. FLICK: It seems that the sponsor was
10 asked or required to perform this study, was given
11 a sample size. The sample size was too small from
12 the outset. The population under study is not the
13 population likely to use this medication in the
14 future. In fact, many of the patients in this
15 study are, in my view, inappropriate for this
16 medication.

17 It seems that if the primary question here
18 is safety, then the study ought to focus around
19 endpoints that represent what we would consider to
20 be likely causes of serious adverse events.

21 Hypersomnolence, it's hard for me to imagine that
22 hypersomnolence is not associated with the

1 medication even though it's suggested that it was
2 not medication effect; in some situations,
3 prolonged QT.

4 Those would be the two endpoints that one
5 would think that the study should be built around
6 if it's considering safety. Clearly, the numbers
7 or the sample size would have to be substantially
8 larger than it is.

9 DR. BATEMAN: Dr. Havens?

10 DR. HAVENS: So there are a lot of drug
11 measurements taken, but the way that data are
12 presented, it's really quite difficult for me to
13 understand what the PK really is because the people
14 who started at 2.5 moved to 5. The data that might
15 be presented might have been on the 5. It's hard
16 for me to tell.

17 While I understand that age and weight are
18 usually matched, often they're not. And faced with
19 any patient, usually we care -- within this broad
20 age range, call it, 6 to 18, weight is more
21 important than age. So the data need to be
22 analyzed based on weight.

1 In a transdermal system, something needs to
2 be considered about skin depth, obese or not obese,
3 so ideal body weight or not, depending on how that
4 might control the drug exposure.

5 So there is potentially a lot of data here
6 that could inform the interested clinician in
7 knowing that in a 50-kilo child who is in the
8 median for body weight, the drug exposure at 5
9 would be expected to be similar in this child
10 compared to an adult.

11 That's often the beginning of this
12 extrapolation process, to get exposure data based
13 on small PK studies in children. But the way the
14 data are presented here doesn't even allow that.

15 DR. BATEMAN: Dr. Portis?

16 MS. PORTIS: Yes. I want to echo some of
17 the concerns. I think we really don't have
18 adequate safety data, and I know someone mentioned
19 that we can't extrapolate and assume that children
20 are little adults. That's really important in
21 terms of the weight issues, and the issues of the
22 developing brain, and later impact. None of that

1 is addressed and I think those are really important
2 safety concerns.

3 I think Dr. Flick also touched on that, that
4 we're not really looking at the population that
5 would most use these drugs. There is a little bit
6 of mention about end-of-life care and children with
7 cancer, and that's a whole different picture than
8 in terms of what the needs are there and addressing
9 the needs of those kids.

10 DR. BATEMAN: Ms. Robotti?

11 MS. ROBOTTI: Suzanne Robotti. I was struck
12 by the fact that all the children needed
13 supplemental analgesia at various times, and I'm
14 not clear on how that compares to adult
15 supplementation with the same patch. But it would
16 indicate to me that it's quite possible that
17 therapeutic levels were not achieved, that
18 potentially the same amount of drug in a child's
19 body does not give the same amount of relief.

20 I don't think that was made clear that the
21 children were getting the kind of relief that they
22 wanted, and clearly we couldn't give them more. It

1 wouldn't be safe.

2 DR. BATEMAN: Other comments?

3 (No response.)

4 DR. BATEMAN: So to summarize the
5 committee's discussion on question 1, there was a
6 specific concern that the study was small, that the
7 population included may not be representative of
8 the patients who had received the treatment in the
9 real world, patients who have cancer, who are at
10 the end of life, who may be co-exposed to other
11 medications, particularly medications that prolong
12 the QTc.

13 There was a mention that perhaps these types
14 of studies should be focused on particular
15 endpoints that are of concern, like somnolence and
16 prolonged QT, and that the studies should be
17 adequately powered to address those issues.

18 There was a noted difficulty in interpreting
19 some of the PK data due to shifting doses and the
20 fact that weight was not always accounted for in
21 the analyses. There was thought that additional
22 analyses could be conducted given the data that

1 were collected, particularly focused around weight.

2 At least one member of the committee raised
3 the concern that two patients were disenrolled due
4 to prolonged QTc, suggesting a potential safety
5 signal, but clearly we don't have enough data to
6 fully evaluate this risk.

7 There was also some concern raised about the
8 efficacy of the doses at which Butrans was
9 administered, given the amount of supplementation
10 that was required with additional analgesics.

11 Any other points that should be added to the
12 summary?

13 (No response.)

14 DR. BATEMAN: So we'll move on to discussion
15 question 2. Discuss whether the information from
16 study 3031 should be added to section 8.4,
17 pediatric use, in the Butrans label.

18 I'm just going to add to that, perhaps it
19 would be useful to the FDA if we commented on the
20 proposed language for the Butrans label that was
21 provided by the sponsor in appendix C.

22 Ms. Higgins?

1 DR. HIGGINS: I am concerned that if we did
2 move in this direction, it would encourage more
3 generous use of the medication by treating
4 physicians. As a consumer rep, that's something
5 that gives me pause.

6 DR. BATEMAN: Dr. Zacharoff?

7 DR. ZACHAROFF: Kevin Zacharoff. I'm fully
8 cognizant of the fact that we need to start
9 studying these medications in kids, and we need to
10 start thinking about releasing information about
11 how medications are being tested in children to
12 start chipping away at some of the barriers we've
13 been living with for the majority of our careers.

14 So I think it would be valuable to add
15 information from this study to this section about
16 pediatric use, but I would also think that there
17 should be clear statements about the fact that
18 representative pain models were used in order to
19 facilitate the study that may not necessarily be
20 representative of clinical applications for this
21 medication.

22 Also, clarification of the term "long-term

1 use," as I discussed earlier, for fear that people
2 might think that even in an institutional setting,
3 this might be the treatment of choice. And
4 thirdly, clarification of the fact that in this
5 study, supplemental analgesic therapy was utilized
6 and as detailed as is possible be mentioned about
7 that fact.

8 DR. BATEMAN: Dr. Ruha?

9 DR. RUHA: Hi. Michelle Ruha. I agree. I
10 think it would be important to add some of the
11 information from this study to the pediatric
12 information. I don't think we can really say
13 anything about efficacy or safety based on this
14 study. However, there is some limited
15 pharmacokinetic data that I think could be added
16 because I don't necessarily think use will increase
17 because the labeling is there, but if people are
18 using it in children and there is pharmacokinetic
19 data that we have, then I think we should share
20 that in the labeling.

21 However, I do have concern that a
22 2.5-microgram dose that isn't available might have

1 been used to obtain that pharmacokinetic data, so I
2 wonder if that data should be looked at again
3 without the 2.5-microgram doses and then used in
4 the information in the labeling.

5 DR. BATEMAN: Dr. Emala?

6 DR. EMALA: So I have some concerns about
7 adding any of the language to the pediatric
8 labeling because while in the case of the agency
9 I'm sure there's a strong understanding of the
10 difference between an indication and then adding
11 labeling under pediatric use, I wonder, in the
12 average prescriber's hands, if that distinction is
13 really that clear.

14 I would be concerned that having information
15 from what I think is a woefully inadequate study,
16 conveying the suggestion that this has been studied
17 and that some safety criteria have been satisfied,
18 I think could give a very wrong misimpression.

19 So I feel pretty strongly that adding any
20 language would indicate that some sort of adequate
21 study has been done, which I think is not the case
22 here.

1 DR. BATEMAN: Dr. Flick?

2 DR. FLICK: I think the sponsor has made a
3 valiant attempt to comply with what was requested
4 by the agency. Unfortunately, I think the question
5 is, does adding this information to the label
6 inform the prescriber or misinform the prescriber.

7 I think that there's risks here that this is
8 misinformation or information that is so incomplete
9 as to mislead the prescriber and suggest that the
10 study implies in some way that this medication has
11 been adequately studied in children when it clearly
12 has not.

13 So I would think that this is probably not
14 something that should be added to the label.

15 DR. BATEMAN: Dr. Portis?

16 MS. PORTIS: I agree. I think that we
17 really don't have enough data, and we need to use
18 an abundance of caution here. Jennifer, your
19 comments about we don't want to encourage more
20 generous use I think is very important, that we do
21 have a lack of data.

22 Yet, I also want to say that I appreciate

1 that the sponsor said they're not going to start
2 encouraging this, that their goal is not to market
3 this for more wide use. I hope that we will keep
4 looking at this because, as the patient
5 representative, the number one thing I hear from
6 patients, and families, and parents of young
7 patients is about pain, and especially in cancer
8 and end-of-life care, that there will be pain that
9 isn't controlled.

10 So it is a really important issue that we
11 try to get adequate data so that doctors have at
12 their disposal every available and appropriate
13 medication to help because I think we really do
14 need to be able to assure these patients that there
15 are ways to appropriately and safely control their
16 pain and the pain of their children.

17 DR. BATEMAN: Dr. Havens?

18 DR. HAVENS: Thank you. I do think there
19 might be some additions or changes to what the
20 company proposes that could allow you to put some
21 of that data in, specifically, further, a more
22 complete description of the study, pointing out

1 that baseline prolongation of the QT is a
2 contraindication of the use of the drug in children
3 under age 16 would be a reasonable thing to say.

4 The company states in what they've presented
5 here that there's no increase in safety signal, but
6 in fact there is because two people of a very small
7 study were stopped because of QT prolongation,
8 which is an important issue.

9 The baseline for forbidding use of drugs
10 that might prolong QT, if you're going to put
11 something in, should also be in the label to fully
12 describe the population of study. And I think,
13 importantly, while you can say you can use it in
14 somebody over 50 kilos, you can make a strong
15 statement that you shouldn't use it in somebody
16 under 50 kilos because there's inadequate data, and
17 there may be high drug exposure, and there's no
18 appropriate dosing formulation for that.

19 So there would be ways to modify this to
20 allow it to be used, but it would have to be very
21 carefully structured to limit its use.

22 Can I ask a question? Has the company

1 satisfied PREA only if something gets in the label,
2 or does doing the FDA-required study satisfy the
3 company's PREA requirements? And I can understand
4 if the FDA doesn't want to answer that question.

5 DR. HERTZ: Hi. This is Sharon Hertz. No,
6 I do want to answer that for the record.

7 Completing the studies that are described in PREA
8 PMRs, PREA postmarketing requirements, satisfies
9 the requirements independent of what is chosen for
10 labeling.

11 DR. HAVENS: Good. Thank you. So in
12 follow-up to that, then, to understand the
13 evolution of the FDA requirements for postmarketing
14 requirements for PREA studies might be interesting,
15 what are the specifics of doing that.

16 Has that been published, just this argument
17 about age, and weight, and BMI versus body surface
18 area?

19 DR. HERTZ: This is Sharon Hertz. I don't
20 know what's been published in a general way
21 regarding that.

22 DR. HAVENS: Right. So this gets to

1 Dr. -- kind of the opening gambit here, that this
2 is sort of an ad hoc study number chosen so that we
3 could do this.

4 DR. HERTZ: I'm sorry. I just needed to
5 confer with a colleague. There is a guidance, a
6 clinical pharmacology guidance, that does describe
7 some of these parameters and how to evaluate them
8 in this setting.

9 DR. BATEMAN: Dr. Greene?

10 DR. GREENE: As I sit in an organization
11 where we're very active at defining drug-use policy
12 and we deal with very difficult and challenging
13 patient care situations, the availability of data,
14 whatever the data may show, is important to us, yet
15 we have to be careful to interpret that.

16 We also recognize that maybe in the general
17 population, I'll say, we have plenty of evidence
18 that providing data in the wrong way to
19 indiscriminate prescribers or indiscriminate
20 salesmen clearly correlates with increased use of
21 drugs in the wrong way.

22 So there's this conflict within me to know

1 that we need the data to be able to understand how
2 we can better deal with difficult situations. On
3 the other hand, we know that making the data
4 available may pose risks. And I guess I want to
5 echo Dr. Havens's requests.

6 It seems to me prudent that we want to
7 somehow make this data available to careful
8 clinicians, but be very, very careful to change the
9 way it's described to cite its many flaws and
10 limitations. I don't know how better to summarize
11 that.

12 DR. BATEMAN: Dr. Litman?

13 DR. LITMAN: Thank you. Ron Litman. I do
14 agree with many of the opinions of the panel that
15 have cited the lack of the data and the inadequacy
16 of this very difficult trial to do, but I do
17 respectfully disagree with the overall risk-benefit
18 ratio.

19 I think that any data that we can get on
20 pediatric patients should be given to
21 practitioners. When I look at the overall risk of
22 using this versus the benefit to some patients, ,

1 remember, this is a drug that's not used very
2 often. It's a drug that's mostly going to be used
3 by specialists in pediatric pain or hematology,
4 oncology. And I do believe this data should be
5 somehow listed, but I also agree that there has to
6 be some clarifiers, perhaps additional language
7 about careful monitoring of the things we're
8 concerned about, whether it's hypersomnolence or
9 the QT interval.

10 But what we didn't really discuss here today
11 is the advantages of buprenorphine over other
12 opioids, but I think that if a clinician wants the
13 option of using this patch for 7 days on a child
14 with pretty bad pain that they're having trouble
15 controlling, any additional data we can give them
16 would be helpful.

17 DR. BATEMAN: Dr. Patrick?

18 DR. PATRICK: I echo some of the concerns
19 earlier raised about, again, the population that
20 was studied and how this is actually applied. I
21 worry that the labeling at least appears to be an
22 endorsement. I wonder if there are other

1 mechanisms to provide these data because I
2 generally agree that data are what they are and
3 putting them out there is helpful. I wonder
4 about -- maybe it's not the appropriate venue to
5 ask -- peer-reviewed publication of these data to
6 be out there, or if there are other venues other
7 than labeling.

8 That aside, I think perhaps more detail on
9 what potential labeling options there are to
10 provide some data to the public without some of the
11 statements that are here, that are listed as a
12 potential candidate.

13 DR. BATEMAN: Sharon, do you want to comment
14 on that?

15 DR. HERTZ: I'd like perhaps clarification
16 on the question a little bit more.

17 DR. PATRICK: I guess the question is, what
18 are the options in terms of what is put in
19 the -- because there are so many things that appear
20 that they could be on the label. We have this sort
21 of extraordinary effort that was undertaken to
22 gather these data, and there's the desire from all

1 of us to share these data to clinicians, as well as
2 this worry that the underlying population isn't
3 necessarily representative of who this would be
4 utilized in.

5 Is there a way to provide the appropriate
6 warnings with still sharing these data? How is
7 this typically done?

8 DR. HERTZ: I'll avoid commenting on what's
9 typically done because pediatric labeling is going
10 to be based on a number of factors that can often
11 be specific to the situation.

12 We have a very large range of options,
13 literally from nothing to full-indication clinical
14 trials represented in section 14, which is the
15 clinical trials section, full pharmacokinetic data
16 in section 12, and additional information in
17 section 8 for pediatric labeling, as well as in the
18 adverse event labeling.

19 So that is the range. The challenge is what
20 to do when we have limited data and how to balance
21 the concerns described here, the need to convey as
22 much information as is available for use by

1 thoughtful clinicians versus conveying information
2 that may not be stable or reliable, or that may not
3 be clear enough to be used by the prescribing
4 population. When the data are limited, we will
5 often not include it if we don't think it's
6 sufficient for decision-making.

7 For instance -- and I'm going to give
8 general things here not specific to this
9 application and comments -- if the PK data are not
10 reliable enough to support dosing and
11 administration instructions, we generally do not
12 include it. I can think of one example where
13 that's not what we did in my division.

14 So even to say that there are general
15 approaches, sometimes there are underlying
16 circumstances that have to be taken into
17 consideration.

18 In the case of the exception, while the
19 studies themselves that were conducted didn't
20 provide a full picture, there was such extensive
21 use of the product and data from other sources that
22 we decided to include a lot more in the label.

1 This one is more challenging because there is not
2 extensive use. It's not clear whether or not more
3 data will be collected based on feasibility of more
4 studies.

5 Conceptually, it would take years to enroll
6 enough patients to get more data, and as you've
7 seen, the use of these products, of opioids in
8 pediatric patients, is declining, so that makes the
9 decision harder. Does the fact that we don't think
10 we'll be able to get more information make it more
11 or less important to put what we have in the label?

12 So options in this type of setting could
13 include limited information. But I will tell you
14 that when we try to provide context in labeling
15 about limitations, they're not necessarily received
16 by the people we want to receive them.

17 Labeling is our primary means of
18 communication, but we know that people don't read
19 labels. We know that people don't understand the
20 differences in a box warning, a warning,
21 representation of adverse events, even
22 contraindications. So it's always a challenge to

1 balance all of these different elements.

2 DR. PATRICK: Just to follow back up, I
3 think with that context, the concerns about the PK
4 data, particularly in the population I think may
5 utilize this, that may be under weight, the
6 concerns about safety, I think I wouldn't support
7 including the data on the label.

8 DR. BATEMAN: Dr. Havens?

9 DR. HAVENS: But in terms of other places to
10 present the data, one of the anesthesia crowd here
11 must write guidelines, or textbooks, or the
12 St. Jude's you can use this drug in my hospital
13 guideline.

14 So then the question is, what is the source
15 of data that you use to do that, and would having
16 this discussion or a standard review on the FDA
17 website allow you to draw from that?

18 So the access data website has been revised.
19 They now put the summary review and the initial
20 review. So on Butrans from 2010, you can see all
21 of the review that's there.

22 Will this review go on that website for the

1 2017 discussion, even if the label is not changed?
2 The reason I ask is because I participate in
3 guidelines writings for children with HIV, and
4 there's often a dramatic difference between what is
5 in the label for children and what the guidelines
6 say, and we often use these kinds of FDA review
7 documents to inform. So it's not just me saying
8 this is okay, the FDA has the data.

9 So that would be another way to hide the
10 data somewhere for the thoughtful guideline-writing
11 crowd to say, "I'm not going to put that in because
12 that's crazy," or "Make your own decision."

13 So where will all these data go?

14 DR. HERTZ: We're in a public meeting. All
15 of this information is currently either available
16 publicly through our Web based on the background
17 packages. That's all available. The meeting is
18 going to be transcribed. So this information is
19 now public.

20 With regard to our reviews, our reviews
21 ultimately get posted. They go up on the Web when
22 we approve an application, but not when we take a

1 type of non-approval action called a "complete
2 response."

3 So whether our reviews get posted depends on
4 our ultimate action, and I don't know what that is
5 now. We're going to think about everything that
6 we've heard today and use that in our
7 decision-making for label for the action. It will
8 be incorporated into our thinking as conveyed in
9 our reviews.

10 DR. BATEMAN: Dr. Flick?

11 DR. FLICK: I'm going to persist in
12 suggesting that this not go on the label,
13 notwithstanding my good and friend and colleague,
14 Dr. Litman. I worry that the only thing that
15 people will read is the dose. They will go to the
16 dose. They'll decide they're going to use this
17 formulation, and they'll go and use that dose.

18 I'm not sure that any one of us, Peter,
19 would be comfortable with that. I agree that
20 having the information available is in general a
21 good thing, but again, I'm not sure that this
22 information really informs. It may misinform.

1 With regard to guidelines, the Society of
2 Pediatric Anesthesia just published a set of
3 guidelines around opioid use in children. This
4 obviously was not included in that. The problem is
5 you I'm sure know in HIV work, the numbers are very
6 small, and it's very difficult to write evidence-
7 based guidelines when there is very little
8 evidence.

9 So I still have to say that this probably
10 doesn't belong in the label. If you look at the
11 current label, what it says is "Safety and
12 effectiveness of Butrans have not been established
13 in patients below 18 years." I think that
14 statement stands and should remain.

15 DR. BATEMAN: Any additional comments on
16 question 2? Dr. McCann?

17 DR. MCCANN: Mary Ellen McCann. I just want
18 to reiterate what Randy said. I'm a pediatrician
19 as well as a pediatric anesthesiologist, and I've
20 had the occasion to write prescriptions for drugs
21 that I wasn't that familiar with. And my
22 inclination -- and you can call it laziness if you

1 want -- is to go to the labeling. If there's a lot
2 of information there, I don't read it. It just
3 sort of is a shortcut for me, incorrectly, that the
4 FDA has studied this extensively. They've actually
5 written two to three pages about whatever the drug
6 is, and then I go to the dosing. I may go quickly
7 to the adverse reactions, but very quickly scan
8 them. And it's a rare drug that I will read the
9 labeling from start to finish.

10 So I think putting this information in
11 falsely to perhaps lazy practitioners gives them
12 false assurance.

13 DR. FLICK: Brian, can I make one other
14 quick comment? So Ron said that the use of this
15 drug will be by pain providers, which I think is
16 probably not the case. So we saw in this study
17 that those enrolled were probably many of them,
18 inappropriate, for the use of this medication.

19 If we look at the postmarketing data for
20 OxyContin, we see inappropriate use as common,
21 post-operative pain as Dr. Zacharoff pointed out.
22 These ER/LA-class opiates are not appropriate for

1 most patients.

2 The sponsor pointed out that a pectus repair
3 is an appropriate patient for an ER/LA-class
4 opiate. That is clearly inappropriate. It is not
5 a complex surgery; it's an everyday procedure in
6 pediatric patients. It's painful, but it certainly
7 doesn't require an ER/LA-class opiate.

8 So this formulation will be used in patients
9 where it's inappropriate or marginally appropriate
10 by non-pain providers. So we have to keep that in
11 mind, as Dr. McCann points out, that they will go
12 to this, look at the dose, and use that dose as a
13 starting point.

14 DR. BATEMAN: Any additional comments?

15 (No response.)

16 DR. BATEMAN: So to summarize, I think there
17 were mixed opinions amongst the committee members
18 as to whether information from study 3031 should be
19 included in the label. Many on the committee
20 voiced concerns that including information on the
21 label will suggest that there are robust safety and
22 efficacy data in pediatrics, which would be an

1 inappropriate conclusion, given some of the
2 limitations associated with this study.

3 There was concern that the providers and the
4 public may not make the distinction between an
5 indication and information on the labeling, and
6 that providers will frequently just look to the
7 label for information on dosing and not read
8 through the details where the limitations
9 associated with the study would be expressed.

10 Some on the committee thought that the
11 information might be valuable to providers, and
12 therefore advocated for including information about
13 the study in the label. I think in general people
14 felt if there is information included, there should
15 be clear statements beyond what's currently in the
16 proposed label about the restrictions in the
17 population that were included in the trial, noting
18 that many patients were excluded because they were
19 on drugs that prolonged the QTc, or had prolonged
20 QTc, or other contraindications to participation,
21 and that that should be put front and center on the
22 label.

1 People also felt that information on the
2 doses used in the studies should be clearly
3 expressed, the fact that patients frequently
4 required supplemental analgesics and a clear
5 statement that the safety data are indeed limited.

6 The conclusion of the currently proposed
7 label suggests that there aren't additional safety
8 concerns that have been raised on the basis of this
9 study, and several people suggested that that
10 should be amended to note that several patients had
11 ECG changes that were concerning and potentially
12 related to exposure to the drug. Then finally, one
13 member of the committee suggested that clear
14 weight-based dosing information should be provided
15 once those analyses are completed.

16 Any additional things to add to the summary
17 or amendments?

18 (No response.)

19 DR. BATEMAN: So we'll move on to our final
20 discussion, question 3. Discuss whether any
21 additional labeling changes are supported by the
22 data from study 3031. Are there any concerns or

1 clarifying questions regarding the discussion
2 question? And to my mind, it heavily overlaps with
3 our previous discussion. Dr. Zacharoff?

4 DR. ZACHAROFF: I keep hearing Sharon say
5 that the spectrum of what could be mentioned is
6 nothing to anything. And I'm wondering if there
7 might be a way to make some mention of this
8 information in the label without implying in any
9 way, shape, or form how the medication should be
10 dosed, and so on and so forth.

11 When Sharon said it, I was thinking exactly
12 that. This is public information now. The study
13 has been performed. The results are in. There's
14 not enough data. I think we all agree about that.
15 But is there a way possibly to just mention in some
16 part of the label that this was done without giving
17 clinicians the ability to mistakenly infer that
18 these are marching orders and how the drug should
19 be prescribed.

20 DR. BATEMAN: Other comments? Dr. Portis?

21 MS. PORTIS: I think that what you
22 said -- I'm sorry, I don't know your name -- was

1 really important about physicians being very busy,
2 and you're going to look quickly at the labeling
3 and let that guide you. I look at even FDA's
4 statement, and I think it's really clear that there
5 really isn't sufficient data to describe the safety
6 profile.

7 That's what I would want highlighted because
8 of the fact that we're giving guidance to the
9 physicians who are busy, and are going to look at
10 that labeling, and maybe aren't going to dig into
11 paragraph 3 of that information. So I think that's
12 a really important comment, and I appreciate what
13 you said.

14 DR. BATEMAN: Any other comments from the
15 committee? Please?

16 DR. WADE: Dr. Hertz, I want to just say
17 something, with all due respect. But in listening
18 to this conversation, I'm struck by the challenges
19 of this long QTc and the restriction of doing
20 safety studies in the population who's receiving
21 the drug, yet trying to do a safe study and
22 limiting other prolonged QTc drugs, and we kind of

1 have to decide which safety data we want.

2 So I just wanted to put a plug in to
3 considering these safety studies in these class of
4 drugs that have the potential for prolonged QTc,
5 and is it possible to come up with a design such
6 that we could allow a drug like ondansetron and get
7 into the population that's actually being exposed
8 when we do these studies.

9 I just say that respectfully because I know
10 that that is quite a challenge to design a safe
11 study with multiple drugs that all may have a
12 prolonged effect. But I think that I would feel
13 better about this data if it was in a population of
14 children with palliative care, or oncologic needs,
15 or other needs that have them on concomitant
16 medications that pose similar risks.

17 So I just wanted to say that that may be a
18 consideration in future designs.

19 DR. BATEMAN: Any other comments?

20 (No response.)

21 DR. BATEMAN: Then before we adjourn, are
22 there any last comments?

1 (No response.)

2 DR. BATEMAN: We need to mention Dr. Melody
3 Cunningham had an emergency and was unable to
4 attend today's meeting by telephone.

5 Before we adjourn, any last comments from
6 the FDA?

7 DR. HERTZ: Just once again, I want to thank
8 you all for taking time out of what I know are very
9 busy schedules. This was really helpful, and safe
10 travels home.

11 **Adjournment**

12 DR. BATEMAN: So panel members, please take
13 all personal belongings with you as the room is
14 cleaned at the end of the meeting day. All
15 materials left on the table will be disposed of.
16 Please also remember to drop off your name badge at
17 the registration table on your way out so that it
18 may be recycled. We will now adjourn the meeting.
19 Thank you.

20 (Whereupon, at 11:59 a.m., the meeting was
21 adjourned.)

22