

Capital Reporting Company
Public Meeting - November 1, 2016

1

1

2

3

4

PUBLIC MEETING ON PRE-MARKET EVALUATION OF
ABUSE-DETERRENT PROPERTIES OF OPIOID DRUG PRODUCTS

6

7

8

9

NOVEMBER 1, 2016

10

11

12

13

Location:

14

College Park Marriott Hotel and Conference Center

15

3501 University Blvd. East

16

Chesapeake Room

17

Hyattsville, MD

18

19

20

21

Reported by: Samuel Honig

22

Capital Reporting Company

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

2

1	TABLE OF CONTENTS	
2		PAGE
3	Vision for Standardizing In Vitro Testing to	7
4	Evaluate Abuse Deterrence of New Oral Opioid	
5	Drug Products	
6	Richard (Rik) Lostritto, Ph.D.,	
7	Acting Associate Director for Science,	
8	Office of Policy for Pharmaceutical Quality,	
9	Office of Pharmaceutical Quality, CDER	
10		
11	Office of Pharmaceutical Quality Science and	32
12	Research: Abuse-Deterrent Formulations	
13	Lucinda Buhse, Ph.D.,	
14	Director, Office of Testing and Research,	
15	Office of Pharmaceutical Quality, CDER	
16		
17	Generic Industry Perspective on	65
18	Standardizing Testing	
19	Elisabeth Kovacs,	
20	CSO Chemistry and Analytical Science,	
21	Apotex Inc.	
22		

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

4

1 P R O C E E D I N G S

2 DR. LOSTRITTO: This morning we'll be
3 hearing formal presentations from government experts
4 as well as both brand name and generic industries.
5 Today, there will be two opportunities for public
6 comment. FDA has established a docket to which
7 comments may be submitted. We encourage comment and
8 discussion, and FDA will consider comments at this
9 meeting and submit it to the docket before drafting or
10 finalizing guidance on this topic.

11 I would also like to identify the FDA press
12 contact, Sarah Peddicord. Sarah, if you're present.
13 There she is right there. Hello. Good morning.

14 Now some housekeeping stuff. If you haven't
15 registered or checked in at the desk, please make sure
16 you do so, so that we know everybody who's here. For
17 those who weren't here yesterday, restrooms are
18 located down the hall to the right of the meeting
19 room, and across from the common restaurant.

20 The buffet lunch will be available in the
21 Patuxent Room at noon. I believe it's \$15.00. And
22 if you would like information on local offsite

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

5

1 restaurants and so forth, you can see the hotel
2 concierge.

3 The parking in the Marriott garage is for
4 free. I assume if you're here, you've parked already.
5 And if you use shuttle service to the Metro, please
6 see the staff outside. And if you have any emergency,
7 please contact the staff at any time.

8 We ask that you please silence your
9 cellphones so that it keeps the continuity and the
10 flow of the meeting uninterrupted to the extent
11 possible. There is complementary Wi-Fi available, and
12 you can get the pass code from the meeting
13 registration desk.

14 We ask that you don't interrupt the speakers
15 with questions or comments. There will be a public
16 comment period and they will be taken only during the
17 open comment periods as identified on the agenda. You
18 are asked to request to speak at the time you
19 register, and FDA has notified you if you'll be
20 talking during one of the comment periods, and your
21 name will be called during that comment period.

22 There are still a few spaces available if

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

6

1 folks do want to have public comment. And if you
2 would like to speak to the new technologies,
3 formulations, abuse deterrents from 11:00 to 12:00,
4 please see Michelle Avey (ph) if she's here. She was
5 here a few minutes ago, but she'll be back there in
6 that corner soon if she's not there already. Okay.

7 And this meeting is also being audiotaped.
8 Transcripts and tapes of the meeting will be made
9 available on FDA's public website. Speakers will
10 mention any financial conflicts of interest that you
11 may have before you begin your speech. And please
12 note that we are not aware of conflicts of interest
13 for FDA speakers. You have been provided an agenda,
14 and we'll stick to the schedule as best we can. And
15 please return from breaks promptly, which will be
16 announced.

17 So, with that, let's get started. I want to
18 make sure I didn't miss anything. And I think I
19 covered all of the topics that were on the list. So
20 now, I have the pleasure of introducing myself, and
21 moderating for myself.

22

Capital Reporting Company
Public Meeting - November 1, 2016

7

1 **Vision for Standardizing In Vitro Testing to Evaluate**
2 **Abuse Deterrence of New Oral Opioid Drug Products**

3 DR. LOSTRITTO: So, I'm Rik Lostritto. I'm
4 the Acting Associate Director for Science in the
5 Office of Policy for Pharmaceutical Quality, otherwise
6 known as OPQ, at the Agency. And I have a
7 longstanding interest in the topic we're talking about
8 today, and as some of the speakers have mentioned.

9 I am also a pharmacist by training
10 originally. I've worked in two different drug
11 companies and served in academia as an associate
12 professor of pharmacy for nine years before joining
13 the Agency 21 years ago. So I have several
14 perspectives in addition to the government perspective
15 on this as well.

16 So today I'll be talking about the vision we
17 have for standardizing in vitro testing to evaluate
18 abuse deterrents of opioid drug products. And I say
19 vision because we're basically starting with a blank
20 sheet of paper. And we have the guidances that are
21 already posted or in draft form, and we're looking to
22 you today to help provide some input to guide our

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

8

1 process as we go forward.

2 So here's an outline of what I plan on
3 discussing today. The scope of what that vision might
4 be. Our current state, which I'll summarize briefly
5 because I think we're all fairly well aware of the
6 vision that we have and what would be necessary to
7 bridge the gap between now and what we would like to
8 see for both new and generic drugs, some examples in
9 the summary.

10 And I'm applying the principles of my talk
11 today to both new and generic drugs, and there will be
12 some differences obviously, and those will be
13 discussed, but we're trying to be all-inclusive and
14 build what's on what's out there already.

15 So the scope of what we're talking about
16 here in this talk is the testing of solid, oral opioid
17 drug products, both at the initial approval and
18 throughout the product life cycle. And for those who
19 may not be familiar what I mean by product life cycle,
20 the product life cycle doesn't mean in this case to
21 switch from an RLD to a generic. What I'm referring
22 to is the life cycle of that individual product as it

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

9

1 may go through changes in things like packaging, site
2 of manufacture, source of raw materials. That's the
3 normal consequence of the life cycle as things change
4 within a given drug product.

5 Standardizing in vitro testing. So we'll
6 take input from this meeting, the published guidance
7 that you've seen, both the one that's already out
8 there and the one that's draft on evaluating generic
9 opioids, and other sources may be used to develop the
10 future guidance, recommending common in vitro methods
11 to evaluate NDAs and ANDAs for these products.

12 Let's take a quick look at the current
13 state. So we have a guidance that's out there now,
14 Abuse-deterrent Opioids Evaluation and Labeling. It's
15 been out there for a little over a year. And we have
16 the draft guidance which we've been talking about
17 yesterday, General Principles for Evaluating the Abuse
18 Deterrents of Generic Solid Oral Opioid Drug Products.

19 We have some FDA lab experience, which you
20 heard about yesterday. You're going to hear more
21 about today as well. As well as external research and
22 development experience and other. And you are part of

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

10

1 the other, giving us the benefit of your experience as
2 well. And other stakeholders outside this room that
3 may contribute eventually as well.

4 So for NDAs versus ANDAs, there are some
5 similarities and differences regarding the assessment
6 of abuse-deterrent properties. And I have a number of
7 topics, and we'll compare them. So if you look at
8 pharmaceutical equivalence, this is not required for a
9 505(b)(2) NDA, and it's not applicable to a 505(b)(1)
10 NDA because it's a new entity. But for an ANDA, it
11 must be pharmaceutically equivalent to the RLD.

12 In terms of bioequivalence, it's required
13 for ANDAs, and could be a key basis for the approval
14 of a 505(b)(2) NDA. And it's not applicable to NDAs
15 because again, it's a new thing and it's not
16 necessarily equivalent to anything else.

17 In terms of labeling, as was mentioned
18 yesterday, the ANDA must match the RLD with limited
19 exceptions. And NDA pre-market data must show a
20 product's abuse-deterrent properties can be expected
21 to result in a meaningful reduction in that product's
22 abuse to merit that claim in the labeling.

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

11

1 In terms of the technological approach to
2 abuse deterrents, for ANDAs, again as was discussed
3 yesterday, and a number of good points came up
4 yesterday that sort of salt the talks for today and
5 discussions for today. For ANDAs, the proposed
6 generic should use the same abuse-deterrent technology
7 approach, or actually, as the RLD. And this came up
8 not only in the talks yesterday, but in some of the
9 comments that were discussed and so forth.

10 And the OGD guidance provides -- OGD, Office
11 of Generic Drugs -- the OGD guidance provides
12 recommendations for evaluating abuse deterrents
13 relative to RLDs, within the same category of abuse
14 deterrent technology. So for example, the same
15 physical chemical approach to resist crushing could be
16 used, but a different polymer may be used to achieve
17 that same result. That's the sort of thing described
18 in that guidance.

19 Both the NDA and the ANDA should meet
20 certain standards for abuse deterrent performance,
21 which include, where feasible, assessment using
22 similar standardized approaches. And the abuse

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

12

1 deterrent properties for the claimed route, and also
2 should address abuse across all routes to ensure --
3 again, something that was mentioned yesterday and that
4 we all know -- that you don't have an unintended
5 consequence of a more facile abuse by a different and
6 potentially more dangerous route.

7 Right now there is no bridging to assure
8 that abuse deterrents performance is maintained
9 throughout product life cycle, or actually throughout
10 the shelf life of the product itself.

11 So, let's take a quick look at the guidances
12 that are out there in terms of the abuse-deterrent
13 opioids evaluation and labeling guidance from last
14 April. This guidance anticipated the evolving
15 landscape that we have seen, and that we see right
16 now. It deals with the physical chemical barrier
17 approach; agonist/antagonist combinations; aversive
18 agents; delivery system approaches; new molecular
19 entities and prodrugs; combination of these
20 mechanisms; and novel approaches being considered as
21 well. It's a very broad effort.

22 And again this came up yesterday as well, is

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

13

1 talking about Category 1 pre-market studies, the
2 in vitro manipulation and extraction discussions that
3 are in this guidance, and the studies are asked to
4 include a design with the specific physical chemical
5 knowledge of the product and mechanism used. That
6 study should be designed to consider the abuser
7 approaches, and the degree of effort required to
8 defeat. And degree of effort came up yesterday in
9 some discussions. We'll talk a little more about that
10 today and what we think about that going forward.

11 It could include heat and cold pre-treatment
12 conditions, crushing, grinding, grating, cutting,
13 et cetera. And some of these discussions came up
14 yesterday and how the different properties, the
15 viscoelastic properties of a solid form could be
16 amenable to particle size reduction by cutting, not
17 necessarily by grinding or by mortar and pestle. And
18 you can have different materials can give you
19 different results and different approaches can give
20 you different results. And also, of course, particle
21 size distribution, for example as in insufflation for
22 nasal abuse.

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

14

1 Now, I'm not going to talk much about the
2 abusive deterrents of generic solid oral opioid
3 products because this was really very thoroughly
4 discussed yesterday. I'll just give you, for those
5 that weren't here, a little brief summary. It is a
6 decision tree/tiered approach, and has a use of
7 controls, which was talked about a lot, and we're
8 going to talk a little bit more about that today as
9 well.

10 And in that guidance, it compares a test
11 product T, reference or RLD product, and a control
12 product under discriminatory conditions where T is the
13 test product in question, the ANDA product. R is the
14 RLD or reference product, and C is the control product
15 for abuse deterrents performance comparisons.

16 So now I want to talk about our vision.
17 Again, we're starting with a clean piece of paper, so
18 the ideas I'm putting out there today are just that,
19 ideas, and we need to flesh them out more fully. What
20 we'd like to have is to quantitatively assess abuse
21 deterrent properties and NDAs and ANDAs, using
22 standard methods that would start with the OGD

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

15

1 guidance that are relevant to methods of abuse. So we
2 have a very good foundation there that we can build
3 off of.

4 We would like the future state to provide
5 abuse deterrent performance criteria across all known
6 routes of abuse. We'd like to have better confidence
7 that the abuse deterrent's performance is maintained
8 throughout shelf life, and across the product life
9 cycle for new drugs. And that's an entirely new
10 thing, and that is something we think is very
11 important going forward. Abuse deterrence is in a
12 sense a critical performance attribute, and as such
13 may need to be looked at during shelf life and product
14 change.

15 We'd also like the future state to be
16 flexible enough to address product specific issues and
17 new abuse-deterrent technologies. And both these
18 topics came up yesterday as well during the public
19 comment period and during the discussions.

20 The future state should integrate well with
21 other guidance. Not only the other abuse deterrent
22 guidances that we've been discussing, but with other

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

16

1 guidance that deal with product quality as well.

2 Size, shape came up yesterday, and so forth. We have
3 guidance on that and other related product guidances
4 that we would want to integrate with, and at least not
5 contradict or obviate. And, of course, the future
6 state has to have further impact on abuse deterrents.

7 Now, I want to talk about failure point
8 situations. A lot was discussed yesterday about
9 taking a product to failure. And I'm going to put a
10 slightly different spin on it today as we go along.
11 And it's a bit nuanced, but I'll try to explain it.
12 The failure point may be considered to be the point
13 where enough work -- as energy, knowledge and time --
14 has been applied to the abuse deterrent product to
15 defeat the abuse deterrent mechanism so as to likely
16 permit abuse against that abuse deterrent claim.

17 Now that sounds a lot like what we've
18 already done and talked about, but I'm looking at it
19 in a slightly different way -- and I'll go on and by
20 the time I get to the bottom of the slide I'll add a
21 little more to it -- because these failure point
22 determinations involve multiple considerations.

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

17

1 It's not just whether you can get a given
2 particle size. If you defeat the abuse deterrent
3 approach, such as particle size reduction, will the
4 result be liked by abusers and abused by various
5 routes? So there's multiple things to consider first
6 of all, and it's not just a matter of getting it down
7 to a particle size, for example. It has to be liked
8 in order for it to be abused.

9 Also, if you're comparing two different
10 products, it may not be the best thing to do the exact
11 identical conditions to both products to see if one is
12 better or if they're the same or not. It may be that
13 it may just take a slightly different condition to get
14 this one to be defeated from this one. So for
15 example, maybe cold water here, maybe warm water here,
16 to get the same result. Both are relatively about the
17 same amount of energy and work and knowledge to get
18 into place, but they're different conditions.

19 So it's a little like comparing apples and
20 oranges, but at the end of the day what we're
21 measuring is how much sugar is in the fruit, and
22 that's kind of the idea. So it's going to look like

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

18

1 an apples and oranges thing, but what we're looking
2 for is instead of the same conditions in some cases,
3 the same outcome. So that's my distinguishment there.

4 So how can we bridge the gap between where
5 we are now and get towards that vision? So, we're
6 going to continue a focused scope for now on solid
7 oral opioid drug products. If we expand beyond that,
8 we feel right now for this next round of guidance
9 development it might be a lengthy process and we're
10 trying to get something done in a reasonable period of
11 time. We're looking for input from not only here,
12 from the Agency, industry, academia and other
13 stakeholders.

14 We'd like to compare new drug product and
15 appropriate comparator at the failure point, as well
16 as at other points as well. And there's a reason for
17 that we'll see as we come along. We want to compare
18 proposed generic products to the RLD to assure the
19 generic does not fail when the RLD demonstrates abuse
20 deterrence performance.

21 And it's going to have to be balanced in
22 practice. We need a mix of standardized approaches

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

19

1 that are adaptable to product specific situations, and
2 that's a tall order to do in any sort of guidance
3 effort, such as this, or any effort at all. We'd like
4 to have some assessment under standard conditions, and
5 assess the effort needed to reach failure, again under
6 the conditions for that product to reach failure if so
7 achieved or relevant.

8 Somebody mentioned yesterday that you could
9 take any of these mechanisms to failure, and that's
10 true. And if it's a heroic measure it may not be
11 relevant to an abuse scenario, so that's part of the
12 thinking in terms of the work, energy and knowledge
13 and time, and so forth.

14 So what else do we need? We want to build
15 on the existing guidance documents. We want to add
16 these sort of apples-to-oranges failure point
17 assessments. And we'd also recognize that the fullest
18 testing for an NDA would be during development to
19 support an abuse deterrent claim at approval.

20 Determination of quality attributes that
21 serve as relevant surrogates for abuse-deterrent
22 performance over shelf life, and which can support

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

20

1 supplemental changes over the product's life cycle,
2 will be something very new and very important to add
3 here.

4 There are also issues, as was mentioned
5 yesterday, that there are issues with statistical and
6 sample size considerations. This is another -- and
7 it's not just here. It plagues all sorts of testing
8 and what tests you're going to use, sample size and so
9 forth is a science in and of itself. And the
10 effective use of control and comparator products.

11 So building on existing guidance, we want to
12 capture these mechanisms of abuse deterrence, the
13 physical chemical barriers, which reduce the ability
14 to manipulate mechanically, the agonist/antagonist
15 combinations, aversive substances, prodrugs, and so
16 on. Applied to these approaches to abuse, or routes
17 of abuse, oral, insufflation or snorting, injection,
18 and smoking. And the tier-based approach to
19 evaluation makes sense. If you can defeat it using
20 tap water, you don't need to test it necessarily doing
21 40 percent ethanol in water.

22 Let's look at some examples. You'll see

**Capital Reporting Company
Public Meeting - November 1, 2016**

21

1 some repetitive theme in these examples because I'm
2 trying to make a specific set of points. So determine
3 the failure point to get a powder, if that's at all
4 feasible. So you can look at different mechanical
5 approaches, and we talked about this yesterday,
6 crushing, grinding, milling, grating, cutting,
7 et cetera. And the effort and time and energy needed
8 to get it.

9 Is the material likely to be abused orally,
10 by insufflation, injection, et cetera? This is an
11 important question. Again, just because you get small
12 particles that can be snorted, doesn't mean it's going
13 to be snorted or be like to be snorted, or that the
14 drug may be released by extraction or so forth.

15 What pre-treatment is necessary and how
16 complex is that? One thing I tried to avoid doing, we
17 talked about this yesterday and somebody mentioned we
18 don't want to give out recipes either in a public
19 forum or in any sort of public document. So if it
20 looks like I'm sort of beating around the bush here
21 and there, it's deliberate. So I talk about
22 pre-treatment. We know that some very sophisticated

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

22

1 household approaches have been used for pre-treatments
2 with some success.

3 The FDA labs may also assess these
4 conditions to failure as well in some cases, both
5 connected to product or connected to research. If an
6 aversive agent is used, is it easily separable and
7 what does it take to do that? If an antagonist is
8 used, know the conditions that release it and that
9 have had that effect. And that also came up yesterday
10 as well.

11 We want to determine the quality attributes
12 that can be tested at release and on stability to
13 assure that abuse-deterrent's performance is
14 maintained throughout shelf life and across the
15 products life cycle. So this is an important
16 consideration.

17 And what I mean here is not necessarily,
18 certainly actually not to go through the entire
19 process you went to evaluate abuse deterrents in the
20 beginning, but rather, as you go along and gain
21 knowledge about the product, and some of you talked
22 about yesterday, the speakers from industry have an

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

23

1 exquisite knowledge about your product that is not
2 public, then you should have an idea what quality
3 attributes, or performance attributes of that product
4 may correlate with abuse deterrence to serve as a
5 surrogate for it. And you can show that.

6 Maybe it's hardness. Maybe it's
7 dissolution. Maybe it's something else that you can
8 do. But that approach should be relatively facile and
9 doable in a laboratory, and it should be able to be a
10 routine sort of testing kind of thing. And that's
11 what I'm talking about. That's the goal. That's the
12 kind of thing I'm trying to frame here.

13 So in order to do that, you have determine
14 those aspects of the formulation, the excipients, the
15 manufacturing process, and even the container closure
16 that are critical to assure that the level of abuse
17 deterrence performance during a product's life cycle
18 is maintained.

19 And I don't want to get too into the weeds
20 here, but I often, when I get the chance, I want to
21 say, I can't stress enough how important container
22 closure can be in the maintenance of quality

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

24

1 attributes for a given drug product. It doesn't
2 matter if it's abuse deterrence or any other product,
3 the container closure excludes moisture, and light,
4 and oxygen, and whatever else may be necessary to
5 preserve the quality of the product. Don't overlook
6 it.

7 So, I'd like us to consider an ICH Q8-like
8 approach. I'm not going to go too much into that
9 guidance, but I would recommend you take a look at it.
10 And it's not meant to be applied to abuse deterrent
11 development and testing, but as a paradigm or a model
12 I think it has some utility.

13 The failure point approach may be combined
14 with a tiered approach. And the conditions for
15 aversive agents and antagonists also need to be
16 accounted for in these kinds of studies. So let's
17 look at extraction now as another example. And you
18 have two cases, with an aversive agent or an
19 antagonist, or no aversive agent or antagonist used.

20 So again, you can determine the failure
21 point by extraction scenarios using the listed
22 solvents, using even differential solvent treatments.

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

25

1 The time, temperature and other conditions necessary
2 to reach a failure point. Now what's the failure
3 point? How much drug needs to be extracted before you
4 call it a failure?

5 Well, I think in part that determines on the
6 potency of that opioid how much mass you're getting
7 out, what concentration it's going to be, how abusable
8 it may be in terms of liking and so on. So in one
9 case it may be a given percentage of drug. In another
10 case it may be a higher or lower percentage. And I
11 think in that particular case it's going to be drug
12 substance specific, you know depending upon potency
13 and so forth.

14 If an aversive agent or antagonist is used,
15 you want to determine the failure point scenarios
16 using the listed solvents and simple differential
17 methods. And again, the time, temperature and other
18 conditions necessary to reach a failure point.

19 We want to determine the quality attributes
20 there as well that can be tested at release and on
21 stability to assure an acceptable failure point is
22 maintained. And it may be an entirely different

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

26

1 quality attribute than making sure that particle size
2 reduction can't be achieved.

3 So it may be more than one quality attribute
4 that you're interested in, in keeping the abuse
5 deterrents across the board, not only for your label
6 claim but maybe not for allowing a more facile route
7 of abuse as well, or a more dangerous route of abuse.

8 You want to determine those aspects of
9 formulation, excipients, manufacturing and container
10 closure that are critical to assure that level of
11 abuse-deterrent performance during your product life
12 cycle. Again, using an ICH Q8 approach.

13 So ICH Q8 provides guidance on how to
14 utilize the knowledge gained through development,
15 through the application of scientific approaches and
16 quality risk management to development of a product,
17 its manufacturing process, and life cycle changes.
18 This guidance and its concepts give approaches that
19 may be used to enhance abuse deterrence product
20 development throughout the product life cycle and
21 throughout shelf life.

22 So for example, if you take a look at the

**Capital Reporting Company
Public Meeting - November 1, 2016**

27

1 guidance, there's a section there that says
2 identifying potential critical quality attributes, or
3 CQAs, of the drug product, so that those product
4 characteristics having an impact on product quality
5 can be studied and controlled. And whatever that is
6 for a given product, you can substitute abuse
7 deterrence in here and have the same type of thinking.

8 So the ICH Q8 model suggests an approach
9 that may help clarify how to go ahead and come up with
10 these critical quality attributes. So in this case,
11 the impact could be directed towards abuse deterrence
12 performance in an ICH Q8-like manner.

13 Another example for smoking. Again,
14 determine the failure point for smoking as feasible.
15 What pre-treatments may be necessary to get it to a
16 free-base form if it's a salt or so forth, and the
17 temperature range and the conditions of failure, and
18 the combined manipulations that may be necessary to
19 smoke, or volatilize the material any number of ways.

20 And then determine the quality attributes
21 that can be tested at release again and on stability
22 to assure an acceptable failure point is maintained.

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

28

1 And as relevant, you can again consider an ICH Q8-like
2 approach.

3 So, Dr. Buhse is going to talk a little bit
4 more about the role of the FDA labs. I just want to
5 highlight a few things and kind of salt her talk for
6 her a little bit. The FDA labs may verify applicant
7 data and assessment approaches. You should be aware
8 of that. So not only application data, but also
9 research data as well, and their own research and so
10 forth. And the FDA labs intend to continue their
11 research into abuse deterrent technologies, testing
12 and assessment standards development.

13 A little bit about statistical and sample
14 size considerations. So, in terms of statistical
15 relevance and power, typically the burden is on the
16 applicant to justify sample size, the physical test,
17 the number of batches to assess abuse deterrent
18 properties, consistency of abuse deterrent
19 performance, and so on.

20 This is a tall order and there isn't always
21 one right answer. I was involved a lot with
22 parametric tolerance testing a while ago and there are

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

29

1 a million ways you can get to the same result and a
2 million permutations. It's important that whatever
3 approach you use though is justified and has
4 statistical relevance to the issue at hand.

5 It may be possible to standardize some
6 acceptance or rejection criteria based on the delta or
7 the confidence interval of a given test so that we
8 have a uniform criteria for performance in terms of
9 confidence.

10 In terms of annual stability studies, if
11 you're going to be, in the future, hopefully, making
12 sure that abuse deterrence is maintained throughout
13 the shelf life of the product, you are going to have
14 to look at this on stability. But you may also have a
15 large number of strengths and so forth, so the
16 concepts of matrixing and bracketing and the testing
17 time points and so forth that we use in other quality
18 attributes may be applicable here as well. They
19 should consider that.

20 And you saw this yesterday. I'm not going
21 to repeat it. But basically this just shows sources
22 of variability can not only come from the product, it

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

30

1 can come from the sampling technique, the number of
2 batches, the method itself, how, in this case here
3 coarse and fines are separated and studied.

4 The effective use of comparator products.
5 So preferred is the use of an immediate release
6 product for a modified release product. And somebody
7 brought up yesterday, what if the new product's an
8 immediate release product? What if there's no
9 (inaudible)? These issues came up yesterday.

10 So what if there is no corresponding
11 immediate release product for an NDA? What's the
12 comparator? Should you make a research formulation
13 for this purpose? Use a product approved elsewhere?
14 Anywhere? From an ICH country? Should you use the
15 API, just a neat API? Should you use an immediate or
16 modified release product for another drug that follows
17 the same type of formulation or mechanism type? And
18 these are all things we want your input on because
19 there are no hard answers to that right now.

20 What if the NDA is for an immediate release
21 product? Which immediate release product should you
22 use to compare it to? We'd like to see develop

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

31

1 standard performance characteristics that may
2 eventually take the place of the control formulations
3 as we learn more. And that would be another goal for
4 the future state, is to clarify and take care of that.

5 So, in summary. There's a gap between the
6 current state and the vision. There's a need to have
7 assurance, through testing, that abuse deterrence
8 performance is maintained throughout the shelf life
9 and over the product life cycle, for example to
10 support supplemental changes, for new and generic drug
11 products.

12 It's useful to consider ICH Q8-like approach
13 in determining the product quality attributes that
14 assure abuse-deterrent performance as part of routine
15 testing. And the use of relevant statistics, such as
16 sampling plans and confidence intervals and so forth,
17 the confidence we want to have a test to have and so
18 forth, to support abuse-deterrent properties has
19 multiple challenges.

20 In addition to abuse deterrent standard
21 performance characteristics for new and generic drugs,
22 which is to be determined, these products also need to

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

32

1 be tested to failure across all routes of abuse as
2 part of the initial assessment, and the failure point
3 may involve sort of apples-to-orange comparison, as I
4 talked about earlier.

5 But we certainly can build on existing
6 guidance and experience, and the FDA labs may verify
7 some abuse deterrent assessments and contribute to
8 future guidance development, develop standardized
9 techniques, and perform abuse deterrence performance
10 assessments for opioid drug products.

11 And I want to thank you very much and we're
12 going to move on to our next talk here. Thanks. So
13 our next talk is Dr. Lucinda Buhse. I only call her
14 Lucinda when I introduce her, it's really Cindy.
15 She's the Director of the Office of Testing and
16 Research in OPQ, which I also serve in. And the title
17 of her talk is Office of Pharmaceutical Quality
18 Science and Research Abuse Deterrent Formulations.
19 Welcome. Let's see if we can get your slides up
20 without me deleting them.

21 **Office of Pharmaceutical Quality Science and Research:**

22 **Abuse-Deterrent Formulations**

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

33

1 DR. BUHSE: Okay. I have them on a stick if
2 you need.

3 DR. LOSTRITTO: Well, they should be here.
4 So, Rob, this is where I need you because I can't find
5 her slides here.

6 DR. BUHSE: Maybe look in the folder. See a
7 folder there. Okay, now, there's my name right there.

8 DR. LOSTRITTO: All right, we'll go with
9 that.

10 DR. BUHSE: We'll hope that those are mine.

11 DR. LOSTRITTO: There we go.

12 DR. BUHSE: Oh, he said it's already open.
13 Too late.

14 DR. LOSTRITTO: Okay.

15 DR. BUHSE: Do the little symbol.

16 DR. LOSTRITTO: This one?

17 DR. BUHSE: This one right here.

18 DR. LOSTRITTO: So you're seeing how much of
19 a lud (ph) I am.

20 DR. BUHSE: Right there. It's that one
21 right there.

22 DR. LOSTRITTO: I'll let you do it.

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

34

1 DR. BUHSE: Yes, thank you. We don't let
2 him in the lab either. Thanks, Rik. Thanks a lot.
3 Okay. Thank you very much, Rik, for the kind
4 introduction. And I'm going to talk a little bit
5 about science and research as it might relate to abuse
6 deterrent formulations.

7 I think, as has been stated in the last few
8 days, there's certain expectations that somebody has
9 when they think abuse deterrent formulations. We
10 expect, of course, technologies to evolve. We are
11 kind of at the infancy, hopefully, of what people are
12 going to be developing or thinking about, and we want
13 to make sure that anything we do encompasses new ideas
14 and innovation.

15 We also believe that any new technologies
16 should not be at risk for introducing new
17 vulnerabilities, making an opportunity that maybe
18 wasn't there for a given drug to be abused, et cetera.
19 And we also expect that when testing your product, you
20 should not only look for its strengths, but also look
21 for its vulnerabilities so what we know that as an
22 Agency, and we don't have to discover it ourselves in

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

35

1 our lab, which we often do.

2 And toward that end, we, as an agency, will
3 need knowledge and capability to assess the
4 technologies that are new, and also to do testing to
5 verify results submitted to us by applicants, and also
6 to look for these new vulnerabilities.

7 So, Office of Pharmaceutical Quality,
8 Science and Research, that's the same office Rik is in
9 as well, we have the laboratory, Office of Testing and
10 Research. And that lab not only does research and
11 testing for the Office of Pharmaceutical Quality, but
12 also for the Office of Generic Drugs. We support
13 development of standards and policies, and toward that
14 end we have helped develop the generic guidance as
15 well as working with Rik on the concept of the new
16 guidance as well.

17 We also identify and try to assess new
18 technologies to try to determine if they will do what
19 they're purported to do. Often advertised as being
20 wonderful and we try to evaluate them and see what
21 their weaknesses and strengths are.

22 And then we also support the review

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

36

1 scientists. If they get an application for an abuse
2 deterrent formulation and they have questions about
3 the data, or they'd like some of the data repeated, we
4 help them do that as well.

5 And then we also collaborate, I think you
6 saw yesterday with the talk from NIPTE, we do a lot of
7 collaborations with universities, academia, small
8 business, et cetera, to try to also leverage their
9 expertise in assessing new technologies or doing
10 research.

11 So today I'm going to talk to you about
12 three different things. One is our emerging
13 technologies team, which is a great way to get new
14 technologies into the Agency. And then we'll talk a
15 little bit more about the method verification program,
16 which Rik brought up earlier. And then also our abuse
17 deterrent research.

18 So first of all, emerging technologies,
19 that's an area that is very important to CDER and the
20 Office of Pharmaceutical Quality. We want to make
21 sure that we have a smooth pathway for people to bring
22 new technologies into the Agency. We don't want to be

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

37

1 the barrier as a regulatory agency if somebody has a
2 great new idea that's really going to help the
3 patient.

4 So emerging technology that may have impact
5 may be a new dosage form. It may be a new way to
6 manufacture something. It may be a new analytical
7 method. It may be a new control strategy, et cetera.
8 And any of those could apply to abuse deterrent
9 formulations. Someone may have a great new idea about
10 a way to deliver an opioid and it might be a new
11 technology and they want to ensure that that new
12 technology gets a quick review and doesn't run into
13 any technology barriers.

14 So the emerging technology program is the
15 collaborative approach that we have in the Office of
16 Pharmaceutical Quality with the field labs, which do
17 the inspections, to assess technology and determine
18 that everybody who is going to be looking at the
19 technology, from the review to the inspection,
20 understands the technology and really play an active
21 role in shepherding that technology through the
22 Agency.

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

38

1 So there is a draft guidance that's in the
2 process of being of being finalized that talks about
3 this process and the emerging technology team. And so
4 this is a great guidance to go to if you think you
5 have a new technology that you would like to bring to
6 the Agency. Go ahead and see if you think it would
7 apply to this guidance, and then you can submit it to
8 the emerging technology team and then they can help
9 you shepherd it through the Agency.

10 So what happens when you get accepted to the
11 emerging technology team? Well what happens is you
12 get really early on engagement. You get face-to-face
13 meetings with the team, which includes reviewers and
14 includes inspectors. If needed, they will come and do
15 an early inspection to see if there's issue that you
16 want raised early on.

17 And then someone from the emerging
18 technology team ends up on the integrated (ph) quality
19 assessment of the review application as well so that
20 they can quickly bring up to speed any of the
21 reviewers that are on your application. And so that
22 really helps shepherd everything, makes everything go

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

39

1 very smoothly and get new technology onto the market
2 as quickly as possible.

3 And then the actual inspection itself is
4 also done by people who have been involved from the
5 beginning, and so you're not trying to explain your
6 new technology to someone who has never heard of it.

7 So, now you have your new technology and you
8 have it approved by the Agency. Or maybe you don't
9 have a new technology and you've submitted your
10 application for review. And I just want to talk a
11 little bit about the method verification program that
12 goes on in our laboratories and how we assess your
13 product.

14 So you can see more about the method
15 verification program and the analytical procedures and
16 method validation for drugs and biologics, guidance
17 for industry that was finalized a little over a year
18 ago. And this has a section on how the agencies will
19 assess NDAs and ANDAs. And we look at your methods,
20 whether those methods be for release or to assess
21 abuse deterrents, and we see if they're acceptable for
22 quality and control and regulatory purposes.

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

40

1 What we will do is the laboratory will send
2 your request for samples and for maybe standards or
3 any unique supplies or reagents that you may have.
4 And then we will take them into our laboratory and see
5 if we can repeat the method that you've developed.

6 So, when do we do the method verification?
7 When do we ask for samples? It's not every
8 application. Obviously I don't have the capability to
9 do that. We often do it for new molecular entities.
10 We often do it for novel analytical methods or
11 products, take a look at something that's new. We
12 also do it if it's a critical method, and this often
13 comes up with abuse deterrent formulations. If
14 there's been some testing, something unique that's
15 been looked at by the applicant, we'll go ahead and
16 assess it in our laboratory. And we often, obviously
17 if the reviewer has a concern about a method, or a
18 concern that the method might not be working,
19 et cetera, they may often ask us to assess it as well.

20 So for abuse deterrent formulations, what do
21 we do with those when we request them from your
22 product? A couple of things we do. One of them is

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

41

1 definitely take a look at the testing that you've done
2 and see if we can repeat some of that. But in
3 addition to that, we also try to do potentially,
4 depending on maybe if we see gaps in what's been done
5 by the applicant, we may also try to do that, do an
6 assessment of that as well.

7 So obviously it's all the in vitro testing
8 that we're doing, Category 1 testing, extraction,
9 milling, grinding, et cetera, simple heating,
10 freezing, et cetera, and try to see what we can get,
11 how we can extract the product out of the formulation.

12 Just as an example, we may see an
13 application where they're grinding the product in a
14 coffee mill and they show that their product can't be
15 ground potentially. We may get the product in-house
16 and we may find that actually if you pulse instead of
17 keeping the grinder on, it actually ends up being
18 easily ground. We may find that if you put it in the
19 freezer for 10 minutes, it can be easily ground.

20 So we do try to, as Rik mentioned earlier
21 this morning, we do try to find the failure point and
22 determine is that how far away from a non-abuse

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

42

1 deterrent formulation really is the failure point.
2 And we want to make sure that the data submitted to us
3 isn't just only the data that makes the product look
4 good. I think that's why I mentioned at the
5 beginning, and I think Rik mentioned as well, we're
6 interested in the vulnerabilities of your products.
7 Where is the edge of failure, not only interested in
8 where they have their strengths.

9 The other thing we look at is trying to make
10 sure that the methods being used to assess the abuse
11 deterrent are really appropriate. I think Xiaoming
12 showed this slide yesterday, but the dissolution
13 method that you're using to release your product may
14 not be the most appropriate method to determine the
15 release after the product's been manipulated. Here
16 you can see that once you grind the product, it
17 actually swells up in the basket and you're not
18 getting any release.

19 And maybe you're not getting release because
20 it's caught within the gel and not necessarily because
21 it wouldn't release in the body. And so the basket
22 method may not be appropriate for the evaluation of

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

43

1 this product after its been ground. Potentially you
2 might want to use a panel method for that, et cetera.
3 So we want to make sure you're really thinking about,
4 after I've manipulated the product, how should I
5 assess it. And it's not necessarily being it should
6 be assessed by the same methods that you used to
7 release the product.

8 I'm going to talk then a little bit about
9 our abuse deterrent formulation research. In addition
10 to verifying the methods that you, yourself, develop
11 in submitting your application, we also do our own
12 research to try to understand abuse deterrent
13 formulations. We do contract work, as was shown
14 yesterday. And then we also do our own work.

15 Some of the more details were talked about
16 yesterday by Xiaoming, so I'm just going to basically
17 talk about our capabilities a little bit. So we have
18 the ability to actually manufacture tablets and
19 capsules in our laboratory. We have a lot of bench
20 scale equipment, and we do make a lot of abuse
21 deterrent formulations. We take a look at what's
22 going on in the literature. Take a look at what's

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

44

1 going on in applications. Try to repeat it. Try to
2 determine what are the variables that are important to
3 the abuse deterrent properties.

4 I think Xiaoming talked a lot yesterday
5 about the fact that it's not just what excipient
6 you're using, it's also the process you're using to
7 manufacture the tablet that can really affect the
8 abuse deterrent properties. And we really want to try
9 and understand that, so when we assess your
10 application, we assess your manufacturing process, we
11 can make sure that it's robust enough to maintain
12 these abuse deterrent formulations.

13 In addition, of course, once you've
14 manufactured, you have to also do an analytical
15 assessment of what you've made. And so we have that
16 same capability in our laboratories as well. We have
17 the ability to look at hardness, to look at all kinds
18 of properties from rheology to particle size,
19 et cetera. And we try to do that at both after we
20 manufacture products, and also after we've tried to
21 manipulate them as well, to try to understand in a
22 more fundamental level what's happening with these

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

45

1 abuse deterrent formulations.

2 I think as Rik talked about, there's also
3 challenges about how much sampling should you do, how
4 much testing can you do. Especially when you start
5 looking at some of these, I call them kitchen tools I
6 guess, to manipulate formulations. If you're using a
7 pair of scissors to cut something, or if you're using
8 the coffee grinder, how reproducible is that? And if
9 it's not very reproducible, then obviously you need to
10 increase your sample size to really be able to see
11 differences and to decide whether one formulation is
12 better or not than another formulation.

13 The other thing we sometimes see is
14 depending on the formulation, maybe you can
15 potentially easily separate out the opioid. Maybe if
16 you do a quick grind, for instance, potentially the
17 larger particles or the smaller particles may contain
18 more or less opioid. And if you do a simple sieve,
19 you can often get a higher concentration of opioid.

20 So we look for these kinds of things and try
21 to determine what are the vulnerabilities of certain
22 technologies that are being used for abuse deterrent

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

46

1 formulations, and trying to understand how they can be
2 defeated, and understand the best ways to strengthen
3 them if that's possible.

4 And then of course there's the fundamentals.
5 This is an example of a formulation where it's
6 actually the manufacturing process that ended up
7 making this abuse deterrent. Method two here on the
8 right is abuse deterrent and method one is not. But
9 they're the exact same formulation, the exact same
10 excipients.

11 And to Rik's point about making sure that
12 every lot you make has the same abuse deterrent
13 properties, we want to make sure that you're release
14 testing. You don't want to do necessarily abuse
15 deterrent testing at release. But potentially if
16 you've linked your abuse deterrent properties to the
17 characteristics of your properties, either
18 microscopically or with a hardness test or something
19 like that, then that can be your release test and that
20 can help you with the assurance that your product does
21 have abuse deterrent properties every time you
22 manufacture it.

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

47

1 And you need to really make sure you have
2 those control strategies in place throughout not only
3 the whole manufacturing process, but also with your
4 excipients to ensure that any of these combinations of
5 excipient variability and manufacturing variability
6 don't result in an end product that may have lost its
7 abuse deterrent properties.

8 So in summary, I think as everybody knows,
9 abuse deterrent features can be defeated, varying
10 degrees of difficulty. And that's why I think that we
11 all need to continue to think about new technologies
12 and new ways to improve on the existing abuse
13 deterrent technologies. We also need to understand
14 what those vulnerabilities are, because I think that
15 will also help us determine what potentially we could
16 do to bolster those up.

17 So to support development of ADF products,
18 we've committed resources to a variety of things:
19 contracts with academia and small business; the
20 emerging technology team to try to ensure that if you
21 do have a new technology, we can help get that through
22 the Agency in an expeditious manner. And also to

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

48

1 ensure that we are ready to review new technologies in
2 our review and inspection and divisions if we have the
3 capability in-house, if we have the ability to
4 understand it, we can help educate reviewers and
5 inspectors as well.

6 Appropriate of course in vitro assessment,
7 we talked yesterday I think about in vivo versus
8 in vitro. Nobody wants to do in vivo if they don't
9 have to. So the more we understand about in vitro
10 testing and what it does and doesn't tell us, I think
11 will be very valuable to us. And we really try to do
12 that in our laboratory and really understand what
13 things about the formulation, what things about the
14 manufacturing process really affect the abuse
15 deterrent properties. And if we can do that and have
16 some good in vitro testing, then that will really help
17 us in the long run in understanding these products and
18 understanding what's critical.

19 And I think as Rik mentioned, abuse
20 deterrent features and testing should be applicable to
21 life cycle. You should know that at release of your
22 lot that it has abuse deterrent properties. And you

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

49

1 should know that at the 24-month shelf life, that it
2 still has abuse deterrent properties. And so we need
3 simple ways to be assured of that, whether it's a
4 control strategy that you may have during
5 manufacturing or during release, et cetera, something
6 needs to be linked to the abuse deterrent formulation
7 characteristics of the product.

8 In addition, we need ways to ensure that if
9 you do make changes moving forward in your
10 manufacturing process, in your manufacturing site, or
11 in your excipient supplier, et cetera, you really need
12 ways to ensure that you've still maintained your abuse
13 deterrent properties with those changes that you're
14 making throughout the life cycle of your particular
15 product.

16 So with that, I'm going to turn it back to
17 Rik.

18 DR. LOSTRITTO: Thank you, Cindy. We are a
19 few minutes ahead of schedule, and we debated whether
20 to forge through and take a break later, but we
21 decided we're going to take our break now, early. So
22 we'll meet back here, precisely begin again at 9:45.

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

50

1 Okay? Thank you.

2 (Whereupon, a recess was taken.)

3 DR. LOSTRITTO: All right folks, we're back.

4 All right. A couple of housekeeping things. We have
5 three folks for public comment, and I'd like them to
6 come please sit here in the front reserved row and
7 check in with Michelle over here. Alexander Kraus,
8 Nathan Langley, and Andrew Barrett, if you could
9 please sit in the front row here and check in with
10 Michelle. And we have room for more public comments
11 during this period coming up, after the talks. So if
12 you want to check in with Michelle, now would be the
13 time.

14 We thought since we're a little bit ahead of
15 schedule, at the request of some folks, that we would
16 have a few minutes Q&A for the two FDA speakers. So
17 I'm going to ask Cindy Buhse to come on up here and
18 take all the blame.

19 DR. BUHSE: I was going to blame you, Rik.

20 DR. LOSTRITTO: So, we're going to open it
21 up to questions. I know Dr. Throckmorton is really
22 chomping at the bit to ask a few questions, so I'm

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

51

1 going to start with him.

2 DR. THROCKMORTON: So, Rik, I want to
3 clarify something you said. You talked about needing
4 to characterize the abuse deterrent characteristics of
5 the products, or throughout the life cycle. I want to
6 just make sure you're explicitly saying, from your
7 perspective, that you'd expect abuse deterrent
8 performance to be assessed as a part of shelf life
9 determination and things like that. And the place I'm
10 going with that is that that would be another -- it
11 would make the argument for simple testing.

12 DR. LOSTRITTO: Yes.

13 DR. THROCKMORTON: Would reinforce the need
14 for that, right, to the extent possible?

15 DR. LOSTRITTO: Absolutely. We wouldn't
16 want to have the whole milieu of testing every testing
17 time point on a stability study for either the annual
18 batch or during for the development batches that are
19 going into an NDA or into an ANDA. So what we would
20 like to have is through something like an ICH process
21 come up with surrogate tests, whatever they might be.

22 Like I said, it could be hardness, it could

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

52

1 be dissolution, it could be something else that's not
2 in the standard test milieu but that which is feasible
3 to be done on a routine testing basis, yes. To
4 support shelf life and also to support changes to the
5 product that might occur, such as to packaging or
6 supplier source, that sort of thing. Yes.

7 DR. THROCKMORTON: So you're not talking
8 about repeating the full battery of the testing that
9 was conducted for the abuse deterrent evaluation and
10 some subset of that?

11 DR. LOSTRITTO: Correct. Correct. A
12 feasible, hopefully a feasible subset.

13 DR. THROCKMORTON: Hopefully we'll get some
14 comment if people have ideas along those lines.

15 DR. LOSTRITTO: Yes, I hope so too.

16 DR. THROCKMORTON: Okay. Cindy, got a
17 question for you. Tell me a little bit more about the
18 qualification, the program, the technology program
19 that you have in place. So, are any of the outputs of
20 that publicly available? Because you could see where
21 it would be valuable to have industry understand our
22 willingness to accept certain technologies, you know

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

53

1 respectful of commercial confidential information and
2 things like that, but to understand that certain
3 techniques and certain approaches we've evaluated,
4 found them to be scientifically robust under a certain
5 set of circumstances, kind of along the lines that we
6 do with biomarker qualification and animal model
7 qualification in other parts of what CDER does.

8 DR. BUHSE: So the emerging technology team,
9 when they've assessed new technology and we've
10 approved new technology in an application, typically
11 what happens is there is a press release, usually by
12 the firm, that whoever had the new technology, does a
13 press release. And then if they agree to that, then
14 we'll often go public, saying yes, we did this through
15 the emerging technology team.

16 But we, as an Agency, do not initiate any
17 kind of public acknowledgement that we have -- just
18 like any other drug, right, we don't come out and say
19 this was the way this was manufactured. For instance,
20 if it's a manufacturing thing, a new manufacturing
21 process, we wouldn't come out and say this new drug
22 was just approved and it has this new manufacturing

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

54

1 process. We would consider that somewhat proprietary.
2 But if the firm wants to do that, then we are happy to
3 come out as well.

4 DR. THROCKMORTON: I just wonder if there's
5 a balance there between avoiding the proprietary but
6 still making it known that new approaches are things
7 that we've been looking at.

8 DR. BUHSE: And often new technologies are
9 coming in as a partnership between somebody who has
10 the new technology and a pharmaceutical company, for
11 instance. In those cases the company that has the new
12 technology of course has a lot of incentive to want to
13 advertise the fact that now their product is part of a
14 new drug.

15 So I think a lot of what goes through the
16 emerging technology team does end up out there in the
17 public sector, but I don't think we as an agency
18 initiate the advertisements or the publicity.

19 DR. LOSTRITTO: So we have about seven or
20 eight minutes left if folks want to queue up to the
21 microphones. If you have any questions for Cindy or I
22 right now, we'd be happy to address them. If you're

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

55

1 bored to stultifying -- oh, there you go. Ravi? He
2 made it first, Keith. Go ahead, Ravi.

3 AUDIENCE MEMBER: Okay. Yes, I just had a
4 question regarding the evaluation of -- analytical as
5 well as safety evaluation for products where they
6 might be smoked and there is an abuse deterrent
7 excipient. Does one need to consider the combustion
8 products as part of the evaluation of that product
9 from an analytical perspective as well as a safety
10 perspective?

11 DR. LOSTRITTO: I'm going to put on my old
12 pulmonary hat, which is what brought me to the Agency
13 in the first place. And, you know, if the combustion
14 products are toxic, cytotoxic, or irritating above and
15 beyond just aversion, I think that might be something
16 you may want to explore as a safety concern, I would
17 think, rather than just leave it unexplored. That
18 would be something you'd want to talk to the clinical
19 division about if that's a realistic thing happening
20 in your sphere. Do you want to add anything to that?

21 AUDIENCE MEMBER: Okay. The question on
22 stability testing, right, there was some good

Capital Reporting Company
Public Meeting - November 1, 2016

56

1 discussion. The way I see it is based on your quality
2 target profile, which defines what abuse deterrents a
3 product should have, you come up with CQAs, the
4 critical quality attributes that directly assure those
5 target product profile based on CQAs we already have,
6 the list of tests in your specifications.

7 So if we show that all this is linked
8 together, and the list of tests that are chosen for
9 stability testing, they are surrogates for all these
10 performance characteristics of ADF, then do we still
11 see that we need to do more testing on stability, or
12 we can justify whatever chosen tests are there for
13 stability, they're enough to assure the performance
14 throughout the shelf life?

15 DR. LOSTRITTO: That's a good question,
16 Ravi. So I think you're kind of hitting the idea on
17 the head is what we're talking about with the ICH Q8
18 approach. So in that what you're saying sounds
19 reasonable, but you know as is always, the devil is in
20 the details and the case would need to be made that
21 these particular tests are surrogate for these abuse
22 deterrent properties.

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

57

1 And if they're already existent in the
2 stability program, then maybe that's -- maybe that
3 would be something you could make a case for, for
4 being adequate. It may in some cases involve a
5 different type of test, so maybe hardness or some
6 other attribute, or a different dissolution [test]
7 that's not the regulatory one to show equivalence
8 batch to batch, but that might be useful for another
9 purpose. So yes, I think the goal is to be creative
10 and yet comprehensive without adding a lot of effort.
11 And you're kind of thinking along the right track, I
12 think.

13 AUDIENCE MEMBER: Sure. One example is
14 alcohol driven dose dumping for extended release
15 products.

16 DR. LOSTRITTO: Yes, exactly.

17 AUDIENCE MEMBER: Right, we typically do it
18 one time, testing. We don't necessarily continue
19 throughout the shelf life unless something is
20 warranted. So that's all for me.

21 DR. LOSTRITTO: Unless something is
22 warranted, yes.

**Capital Reporting Company
Public Meeting - November 1, 2016**

58

1 DR. MENDOZA: Hi, good morning. Mario
2 Mendoza with Pfizer. So I have a question and comment
3 about both PK and time and effort. So what I heard
4 from this morning's presentations is that, let's say
5 along a paradigm of failure point testing, and so I
6 heard Category 1 manipulation failure point as an
7 example, so you test to Category 1 manipulation. And
8 then you assess that, or ultimately we would say,
9 drug-like subjective measure.

10 So the first question is, will you consider
11 PK testing in that? Because I heard a comment about
12 no one wants to do in vivo studies. And the reason I
13 ask is because you may have then, as you know, either
14 a placebo effect in the drug-like measures. So you
15 have to assess that with drug concentration in the
16 blood.

17 DR. LOSTRITTO: So I'm going to sort of take
18 the fifth on that. That's not my area and I don't
19 feel qualified to comment on the PK aspect of it.

20 DR. MENDOZA: Okay.

21 DR. LOSTRITTO: And the guidance we're
22 talking about developing right now anyway, is going to

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

59

1 be an in vitro guidance. That doesn't mean we won't
2 consider the implications of PK in that in vitro
3 guidance, but right now I'm going to defer on that
4 question, if that's okay with you.

5 DR. BUHSE: Sharon says she has an answer on
6 that.

7 DR. LOSTRITTO: Okay, Sharon, if you'd like
8 to address that, go right ahead, please.

9 DR. HERTZ: Sure. When a PK requirement
10 exists, we're not worried about a placebo effect. The
11 placebo effect would only be part of an actual
12 clinical study, a human abuse liability study. And
13 when those are required, there's a whole -- we already
14 know how to design those studies. They're commonly
15 used in other settings. But if the product under
16 development simply requires a comparison of PK, that's
17 all you need to worry about is the direct comparison.

18 DR. MENDOZA: Thanks. And my other question
19 is about assessing the conglomerate of time and
20 effort. So I know other people here made comments on
21 the abuse psychology, and I think that that has to be
22 taken into consideration. And so someone spending 15

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

60

1 minutes on average abusing a product in New York City
2 may be different than someone spending an hour in
3 North Dakota, not to pick on any particular state.
4 But it depends on the abuse ecology and perhaps what
5 is around them in terms of access to other abusable
6 products.

7 DR. LOSTRITTO: Thank you. We actually have
8 time for one more quick -- yours wasn't a question, by
9 the way, it was a statement.

10 DR. THROCKMORTON: Can I ask him a question
11 though?

12 DR. MENDOZA: Well the question was about
13 assessing time and effort within the abuse ecology.

14 DR. LOSTRITTO: Yes, that's part of what we
15 planned evaluating further is what constitutes time
16 and effort, knowledge. So is letting it soak for 24
17 hours unattended, that doesn't take a lot of effort,
18 it just takes a lot of time. So the element of the
19 patience, as in being able to wait, is an element
20 there.

21 So there's so many factors to consider that
22 it's, initially anyway, we're looking at this as a

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

61

1 more case by case. As we learn more and trying to
2 make things more standardized, you're going to have to
3 compare various scenarios that maybe, say for example,
4 three minutes in a coffee mill compared to five
5 minutes in a mortar and pestle. How do you compare
6 that? So those are some of the questions we have to
7 grapple with, yes.

8 DR. MENDOZA: Thanks.

9 DR. LOSTRITTO: One more question.

10 DR. SMITH: Damon Smith, Altus Formulation.

11 At least from my perspective it seems we're very much
12 talking this morning about how to assess the abuse
13 deterrent properties of a formulation per se. Whereas
14 in this case we'll be looking at the relative abuse
15 deterrent properties between the RLD and our product.
16 Would you be able to comment a little bit more about
17 development of discriminatory conditions in the
18 in vitro setting?

19 DR. LOSTRITTO: I believe you're talking
20 more about the generic guidance that we talking about
21 yesterday, right?

22 DR. SMITH: Absolutely. Absolutely.

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

62

1 DR. LOSTRITTO: I don't have anything
2 specific to add to that, but Rob, if you want to add
3 anything to that, or to that point. He's talking
4 about discriminating conditions.

5 DR. LIONBERGER: I mean that's an important
6 aspect of the generic guidance. I mean any
7 comparative test that you want to do between the brand
8 and the generic product, you want to have confidence
9 that has a reasonable -- it's got to be informative,
10 right.

11 So I think you can think of a test being
12 non-informative in several ways. One, if you've
13 identified sort of a failure point, if your brand
14 product fails at that point and you test the generic
15 at that point, why are you testing it? (inaudible)
16 the generic, (inaudible) drug and it failed. So
17 there's aspects of looking at -- and so what we
18 discussed yesterday was, the applicant has to support
19 the justification for here's my comparison of the
20 brand and generic product, right.

21 And the way you can do that, you know we
22 said control can be part of that, but also looking at

Capital Reporting Company
Public Meeting - November 1, 2016

63

1 the performance of RLD and your product as you vary
2 the different conditions. So as you change the amount
3 of effort that you apply, what happens to both of the
4 products. That can also be information that says, I'm
5 testing this at a place where I can show equivalence,
6 but if I'm worse, I'm going to show that I'm worse.
7 If I'm better, I'm going to show that I'm better.
8 It's looking at it sort of -- you know sometimes if
9 pharmacokinetic pharmacodynamic or if you look at the
10 sensitive (ph) part -- if you reach the point where
11 the full effect is saturated, products or differences
12 are going to show up the same on a test where products
13 that are different are going to show up as different
14 in your test.

15 DR. LOSTRITTO: Right. And I think actually
16 your answer in part clarifies your question in
17 contrast to what I was talking about, or maybe in
18 support of what I was talking about. Another way of
19 looking at it, outcomes versus same condition. Same
20 outcome, same condition.

21 MR. SMITH: Yes. My point is you can
22 develop a discriminatory test that may show a positive

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

64

1 difference between the generic, or at least a no worse
2 difference between the generic and control and the
3 RLD. But how do you demonstrate those conditions are
4 therefore relevant in the abuse setting? We were at a
5 sort of a different design track there.

6 DR. LOSTRITTO: Yes. Well we're running
7 short on time, but briefly I think what we're trying
8 to do with this next guidance in particular, and also
9 this is done in the OGD guidance, is to have a variety
10 of conditions that would pick up any artificiality
11 that was an artifact of a given test method or
12 approach. If you want to talk about that at all in
13 terms of analytical methods or anything, (inaudible).

14 DR. BUHSE: No, I think Rob covered it.
15 Well, in the generic guidance, I think the tiered
16 approach, you know if you're equivalent to the RLD and
17 then you take it to the next tier, and you fail but so
18 does the RLD, and then you've shown I think that the
19 tier you went to is the discriminating condition you
20 want to be at, for whatever it is that you're
21 assessing.

22 DR. LOSTRITTO: Thank you very much. So I'm

Capital Reporting Company
Public Meeting - November 1, 2016

65

1 going to introduce our next speaker. Recommendations
2 from the Generic Industry Working Group for comments
3 on the draft guidance on general principles for
4 development of generic abuse deterrent opioid
5 formulations, Elisabeth Kovacs.

6 **Generic Industry Perspective on Standardizing Testing**

7 DR. KOVACS: Good morning, everybody. I
8 will be providing recommendations from the Generic
9 Industry Working Group, and comments on the draft
10 guidance on generic principles for development of
11 generic abuse deterrent opioid formulations.

12 The group of companies that constitute a
13 working group is listed here is Amneal
14 Pharmaceuticals, Apotex, Aurobindo, Lupin, Mylan, Par
15 Pharmaceuticals and Tiva Pharmaceuticals. This is our
16 disclaimer. And we'll be talking on the standardizing
17 in vitro testing to evaluate abuse deterrents.

18 In terms of the outline of the talk, I will
19 be providing some background comments. We will be
20 looking at the four questions addressed for the second
21 day and FDA announcement for the meeting. We'll have
22 some additional considerations, and we'll close with a

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

66

1 summary.

2 So clearly the benefits of standardizing
3 testing methodology have been -- I mean we spent a lot
4 of time in discussing those. And we are in full
5 agreement that it's something that it can be extremely
6 beneficial, reduce the test results variability in
7 order to allow more increased relevance of the test
8 results and increased confidence in the results.

9 We'll allow consistent evaluation of product
10 manufacture for the abuse deterrent formulations for
11 generic product with respect to the abuse deterrence
12 attributes. We'll allow establishment of meaningful
13 performance target for critical quality attributes.
14 And we've been talking about establishing a
15 relationship between the critical quality attributes,
16 which would be a measurable attribute in the product
17 that can be linked to the abuse deterrent attribute.
18 And in order to establish that correlation, reducing
19 the variability of the testing is going to be
20 extremely very beneficial.

21 We'll facilitate assessment of formulation
22 platforms to other drug products, and we'll allow

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

67

1 meaningful comparison between other generics, among
2 the generics for the ADF products. And overall it
3 will translate to increased confidence for regulators,
4 prescribers, pharmacists, payers and patients.

5 We looked at maybe dividing the topic in
6 four categories and talking about where we can benefit
7 on more standardization and where potentially we can
8 benefit of maybe more flexibility. Approaches to
9 abuse deterrents, physical chemical barriers
10 combination, antagonist/agonist, prodrug, and of
11 course there are also others. We will be looking at
12 in terms of route of abuse. Testing requirements, or
13 testing protocol, or totality of the tests that need
14 to be carried out. And then we will be talking about
15 the test methodology.

16 In terms of the route of abuse, they are
17 very well defined. To demonstrate abuse deterrence is
18 a performance driven, and it can be essentially
19 accomplished by multiple technologies for the same
20 approach.

21 In terms of the testing requirement, we are
22 looking possibly for some discussions to see how we

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

68

1 can be more platform technology driven. We need more
2 flexibility to allow focusing on the critical
3 attributes opportunity. And also it creates an
4 opportunity to develop maybe technology specific
5 guidance, and maybe we'll talk a little bit more about
6 that as we go forward.

7 In terms of the test methodology, clearly
8 this is where we said we need more standardization.
9 We need to reduce the result variability. We need to
10 increase the reproducibility, changes during the life
11 cycle and moving from one site to another, whatever
12 the requirements would be. In order for results to be
13 compared and the conclusion of the comparison to be
14 relevant and to allow confidence then clearly the
15 variability of the test results also will have to be
16 controlled. Some of the variabilities will need to be
17 controlled.

18 We'll allow establishment of meaningful
19 performance target for critical quality attributes.
20 We'll allow meaningful comparison between products and
21 consistent evaluation of product manufacture for abuse
22 deterrent formulations for generic product.

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

69

1 So, we are looking now, we start with
2 approaches to abuse deterrence, for example. So,
3 looking at the three examples that we mentioned
4 earlier, physical chemical barriers, combination of
5 agonist/antagonist, and the prodrug, these are well
6 defined paths and crossing for a generic between one
7 approach to another, this is not something that we are
8 recommending. That's not what we are talking about.
9 That being said, however, within the same physical
10 chemical barrier, the possibility of achieving the
11 same performance is available using different
12 technologies.

13 And the approach will have to dictate the
14 performance targets that a generic product will have
15 to meet. The performance targets however can be
16 accomplished, as we mentioned earlier, by using more
17 than one technology. And ultimately a generic product
18 has to use the same approach to abuse deterrence as
19 the RLD, however for a given approach, the performance
20 of the RLD can be accomplished, as I said, by multiple
21 technologies.

22 Again, talking about the routes of abuse.

**Capital Reporting Company
Public Meeting - November 1, 2016**

70

1 These are very well established and essentially the
2 performance, it's linked to the route of abuse. And
3 for a given approach to abuse deterrence, multiple
4 technologies can be used as part of the evaluation of
5 the RLD. All potential routes of abuse should be
6 evaluated to establish a target for development for
7 the generic product.

8 From a generic drug product perspective, the
9 abuse deterrent ability can be demonstrated by
10 focusing on the critical performance attributes
11 relevant to the technology used. And the generic
12 product has to be no less abuse deterrent than the RLD
13 with respect to the routes of abuse listed on the RLD
14 label.

15 And we are looking at one example here.
16 When we are looking for example at the route of abuse
17 injectability. And we are looking at an RLD which is
18 a crush resistant possibility with a viscosity
19 building agent. The generic, it's a different crush
20 resistant matrix with another type of viscosity
21 building agent, or maybe a gelling agent.

22 So, ultimately some of the mechanical

Capital Reporting Company
Public Meeting - November 1, 2016

71

1 manipulation, the results would be different, and
2 maybe not entirely comparable. However, when we look
3 into the performance with respect to the route of the
4 abuse, this is the deciding factor and they can be
5 considered if none of them can be or they are
6 comparable then they can be considered being
7 equivalent.

8 The same concept here. We are looking at
9 two approaches, a low volume solvent viscosity for a
10 gel formation. We are looking at extraction with a
11 biocompatible solvents for hardness. These are
12 technology dependent. However, when we look at the
13 injectability measure or syringeability, or measures
14 for extraction rate and extent, these are technology
15 independent and there are performance characteristics
16 that needs to be met with respect to that particular
17 abuse route, route of abuse.

18 I mentioned, testing requirements should be
19 standardized around technology platforms. Critical
20 quality attributes focused -- actually I should stop
21 here for a minute and define the reference to the
22 technology just to make sure that we are understanding

**Capital Reporting Company
Public Meeting - November 1, 2016**

72

1 the same what we are talking about here.

2 When we use the concept of technology here,
3 we are using the concept of the combination of
4 formulation composition and process. We are not
5 talking about a different technology in terms of the
6 abuse deterrent ability.

7 So the example that I mentioned earlier for
8 example is based on crush resistance, the hardness,
9 versus different approach, which is a drug formation.
10 So therefore, these are two different behaviors, two
11 different formulations. And the critical performance,
12 it's linked to the technology used, although
13 ultimately the abuse deterrent ability can be the
14 same.

15 So the test requirement should be
16 standardized around the technology platforms. The
17 current drug guidance does not necessarily meet this
18 need. It is tiered. It appears to be rigid in
19 sequence of execution, and it's a one size fits all
20 approach. This may lead to unnecessary tests for some
21 technologies or may not provide adequate depth for
22 others.

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

73

1 And last but not least, we'll talk about the
2 test methodologies. And we look at where are
3 opportunities for standardization. For example, the
4 mechanical manipulations, we heard throughout
5 yesterday and today the discussion about the level of
6 effort and characteristics of the output. And both of
7 these are important because the level of effort can be
8 a deterrent on its own but the specific
9 characteristics of the output are also impacting on
10 the ability of the product, the formulation to be
11 abuse deterrent.

12 So for example, when we talk about particle
13 size distribution, we can't say something like for
14 example, when subjected to the same level of effort,
15 including time, what is the particle size
16 distribution? Or the reverse of it would be is that
17 what is the effort and time in order to achieve the
18 same particular size distribution.

19 Parameters to consider for standardization
20 are tools and equipment, and we heard the talk about
21 that with Dr. Hoag's recommendations yesterday.
22 Potentially use performance indicators, and I know

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

74

1 this is a very farfetched concept, but it's something
2 similar that we have a performance verification for
3 the dissolution right now, which is very standard.

4 The concepts that need to be looked at
5 definitely is the number of tablets, or essentially is
6 the mass, tablet mass for grinding, for example. If
7 we have two different strengths which are
8 proportional, we would have 1,000 milligram and a 500
9 milligram, which if they are proportional and we
10 standardize the number of tablets, we'll end up with
11 half of the tablet mass in the grinder and that
12 clearly would impact on the output.

13 With respect to the chemical manipulation,
14 extractability, parenteral and oral, the performance
15 characteristic is how much drug is extracted in the
16 solution. So the considerations that need to be added
17 maybe is the solubility characteristic of the API.

18 If the API is not soluble and within a
19 certain pH range, then that pH range, the deterrence
20 is not a characteristic of the product, but it's
21 essentially the API. If it doesn't dissolve, it
22 doesn't dissolve. And clearly the relationship of the

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

75

1 solubility versus the volume of the solvent used.

2 The parameters again that we consider for
3 standardization are again the tools and the equipment;
4 sample/solvent volume ratio; particle size; choice of
5 solvent, pH, polarity, accessibility; time of
6 exposure; temperature; agitation.

7 And when we talk about particle size in
8 terms of the chemical manipulation, the
9 extractability, then again, maybe what we are talking
10 about, define a particle size range that is being used
11 for the comparison, which may or may not necessarily
12 be the immediate output from the mechanical
13 manipulation, although the two are definitely related.
14 We are agreeing to that.

15 I took the liberty of borrowing this slide
16 from Dr. Mansoor's presentation for the meeting in
17 2014. And essentially what it illustrates is the very
18 wide range of particle size that's obtained with the
19 various coffee grinders which are available on the
20 market. And clearly with the recommendation of
21 looking into the particle size as a potential area for
22 standardization in order to allow generation of

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

76

1 relevant results which would be also comparable, as I
2 said.

3 So, I think that this, again, it was
4 discussed earlier today, both Cindy and Rik. In terms
5 of FDA standardizing in vitro testing to help
6 substantiate appropriate and consistent product
7 manufacture that assures abuse deterrence at release
8 and throughout the shelf life. And we are in full
9 agreement. We are not proposing, and in fact what we
10 are saying is that to repeat the tests which are
11 outlined in the guidance, it's not something that we
12 were thinking or planning to do as part of the typical
13 QC release.

14 Critical quality attributes identified in
15 the product that can be related to critical material
16 attributes in the components, critical process
17 parameters during the manufacture, to establish that
18 link in between the characteristics of the components
19 and process that can be linked to the abuse deterrent
20 attributes of the product. And then that can be
21 monitored both at release and during the shelf life.

22 And examples of that are antagonist assay or

**Capital Reporting Company
Public Meeting - November 1, 2016**

77

1 dissolution, a relationship between the two. Clearly,
2 if you would be looking at a given rate of release,
3 and then one of them it slows down, another one it
4 maybe it speeds up, then maybe that relationship is
5 modified and that needs to be addressed if it's
6 impacting on the quality of the product with respect
7 to the abuse deterrence or not.

8 And resistance to crush, hardness. Hardness
9 is something again that can be monitored. In fact
10 this is not even a difference because the hardness is
11 being typically monitored as part of the lot to lot
12 variability as well as throughout the shelf life in
13 the ranges that have been demonstrated, established
14 and demonstrated that are adequate with respect to
15 abuse deterrent characteristics. Another example, in
16 mucoadhesive if the quantitative composition is
17 constant, a test for a parameter such as viscosity may
18 be proven acceptable.

19 So, this question is something that we had a
20 lot of discussion on within the group. With respect
21 to the performance attributes measured by in vitro
22 testing can be quantified and linked to their impact

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

78

1 to the abuse deterrence. Amount of time and delay in
2 defeating the abuse deterrent property. From a
3 generic manufacturer perspective, we are asked,
4 expected, and targeting the same level of abuse
5 deterrence as the RLD that the generic product is
6 developing the equivalent to.

7 So essentially this includes effort and time
8 in defeating the product. And going back to a
9 previous statement that I made on the slides, the
10 understanding of the RLD is something that is done at
11 the upfront in order to establish the target for
12 development. So essentially that's our option and
13 it's our position here.

14 Building flexibility into standardized
15 testing that will address a suitable application for
16 emerging technologies. Again, this has to be a
17 collaborative ongoing/iterative process of a joint
18 committee between FDA, the generic industry, other
19 potential stakeholders. The gap between technologies
20 that are covered by the current guidance versus those
21 which are emerging can be addressed through the
22 product specific guidance and or eventually into

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

79

1 technology or platform specific guidance.

2 I would like to talk a little bit about
3 dissolution. The dissolution that is provided in the
4 guidance, it's a standard dissolution, 0.1 mL normal
5 HCL, different levels of it in oil or water. And we
6 all know very well that a dissolution can be either
7 over discriminating or non-discriminating.

8 Looking into the dissolution as potentially
9 providing a tool that is going to be correlatable with
10 the performance in vivo would require a different
11 approach to developing a dissolution method to assess
12 the manipulated product. Opportunities to explore
13 different dissolution methods based on the API
14 solubility, using biorelevant dissolution media, this
15 should be available in order to attempt, and hopefully
16 be successful in developing a dissolution method that
17 can be correlated to the in vivo performance.

18 Furthermore, physiologically based
19 pharmacokinetic modeling options also should be
20 available to establish a biorelevant predictive
21 dissolution method to be used for evaluating the abuse
22 deterrent capability.

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

80

1 This will not only provide an opportunity
2 for science and risk based decision making, but will
3 also reduce the number of unnecessary clinical studies
4 because it's an opportunity to bridge the Cat 1, Cat 2
5 before the Cat 2 study is required. And in fact, this
6 can be expanded to other concepts as well.

7 When we are talking about the particle size
8 and establishing a particle size limit for nasal
9 abuse, I understand that -- I mean the guidance right
10 now talks about 10 percent at 500 micron, and that
11 number still potentially is going to be changed.

12 But ultimately what we are looking at is we
13 are looking at a mix of the active and the excipients.
14 That mix may or may not be representative of what is
15 the theoretical ratio in the product itself. So
16 potentially the fine can be predominately excipient.
17 And there are technologies out there, and I think that
18 we discussed the other day, morphologically-directed
19 raman spectroscopy that can identify, for example, the
20 API (inaudible).

21 For example, that you maybe would have 15
22 percent or 20 percent, but only 1 percent of that is

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

81

1 active, the rest of it is excipient. I think that is
2 going to be a sufficiently strong argument for not
3 have to go into the liking (ph) studies.

4 With that, I would like to summarize. For
5 the same approach to abuse deterrence, performance
6 objectives can be achieved, as we said, for multiple
7 technologies. The generic product has to be no less
8 abuse deterrent for each route of abuse as indicated
9 on the RLD label.

10 For a given approach, the performance of the
11 RLD can be achieved by a generic using different
12 technologies. And from a generic drug perspective,
13 abuse deterrence can be demonstrated by focusing on
14 the critical performance attributes relevant to the
15 technology used.

16 In terms of the test requirements, should be
17 standardized around technology or platforms. The
18 current draft guidance does not meet this need. And
19 again, I discussed the dissolution earlier. The
20 standard dissolution methods provided in the guidance
21 should be augmented by exploring opportunities to
22 develop biorelevant and predictive dissolution methods

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

82

1 that they can be used to bridge the Cat 1 and the Cat 2
2 studies. Test methodology requires standardization to
3 mitigate variability that could impact on the test
4 results. And I think with that, I conclude my --

5 DR. LOSTRITTO: Thank you, Elisabeth, for a
6 very nice talk. And I have to apologize, I did not
7 introduce you properly when I introduced you before,
8 that you are the CSO of Chemistry and Analytical
9 Science at Apotex Incorporated. So thank you again.

10 I want to call now Alison Fleming, Vice
11 President of Product Development, Collegium
12 Pharmaceuticals, and she's going to talk about the
13 branded industry perspective on standardized testing.

14 **Brand Industry Perspective on Standardizing Testing**

15 DR. FLEMING: So good morning. My name is
16 Alison Fleming, and on behalf of the Branded Industry
17 Working Group, I'd like to thank FDA for the
18 opportunity this morning to provide some perspectives
19 on standardization of in vitro testing for abuse
20 deterrent products.

21 So first my financial disclosure. I am a
22 full-time employee at Collegium Pharmaceutical. And I

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

83

1 am representing the Branded Industry Working Group,
2 comprised of the 10 companies you see on this slide.
3 This morning I want to emphasize that the opinions
4 being expressed are not those of Collegium or any of
5 the individual companies, but instead best represent
6 the consensus of the Branded Industry Working Group as
7 a whole.

8 The outline of my presentation today, I'd
9 like to start by reviewing the current status of
10 guidances that are available for in vitro testing of a
11 abuse deterrent products. And also talk about the
12 current status of abuse deterrent technologies we have
13 in the marketplace and in development.

14 I'd like to discuss the benefits and the
15 drawbacks of standardization in relation to the
16 evolving landscape we have in the abuse deterrent
17 field. Provide some perspectives on standardization
18 and really I'm going to use the generic guidance as a
19 model for standardized testing and provide some
20 examples there of pitfalls and some things that you
21 run into when one tries to standardize tests across
22 technologies. And finally I'm going to provide some

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

84

1 conclusions and recommendations.

2 So this has been addressed already this
3 morning, but as we know, we have two guidances that
4 have been issued. One for the development of new
5 abuse deterrent technologies, which I'm going to refer
6 to as the innovator guidance. And a more recent
7 guidance, a draft guidance for the development of
8 generic products. And both of these guidances specify
9 that testing should be done to in vitro across
10 different potential mechanisms of abuse.

11 And there's basically five areas that these
12 tests are divided into. The first two are more
13 general characterizations of mechanical manipulations
14 and chemical manipulations of products. And the other
15 three are more route specific explorations. So
16 injectability and syringeability, assessment for nasal
17 administration, and then smoking studies.

18 But as we dive into the two guidances,
19 although they recommend testing in the same general
20 areas, and for the same general attributes, there are
21 very different approaches in these two guidances. And
22 I think was already touched on a bit this morning.

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

85

1 But the innovator guidance provides a very flexible
2 and adaptable approach to testing, which really looks
3 ahead at new technologies that might be coming down
4 the pike. And it stresses that a totality of the
5 evidence.

6 It presents a model of doing iterative
7 testing where you start with simple manipulations and
8 you move to more complex manipulations, so that you're
9 really covering a wide range of parameter space in the
10 testing. And it provides examples of tools and
11 solvents that could be used, but generally provides
12 very few specifics. And then finally, of course, the
13 in vitro results are used to guide subsequent in vivo
14 testing, both for PK and human abuse potential.

15 Within the draft generic guidance, we see a
16 much more formulaic approach to testing. There's a
17 tier-based paradigm that is introduced, which was
18 described yesterday. And in addition to the
19 tier-based testing, to sort of dwindle down the number
20 of tests that need to be done, there's also a paradigm
21 of discriminatory condition development.

22 And basically what the guidance outlines is

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

86

1 that you take a reference product and then you take a
2 non-abuse deterrent comparator, test that under a
3 range of parameters, and establish a condition under
4 which you see a difference between the abuse deterrent
5 and the non-abuse deterrent product. And then
6 subsequently, a potential generic is tested against a
7 reference product only at that discriminatory
8 condition. And I'm going to be providing a few
9 examples later at how that works and how that could be
10 a potential pitfall of testing.

11 And then of course we've heard a lot about
12 this over the last couple days, but the draft generic
13 guidance is very focused on hard to crush tablets.
14 And it's our position that other types of technologies
15 are really not adequately covered by the current
16 guidance. And as I've already discussed, the guidance
17 provides a lot of specifics, specific tools, specific
18 times, specific solvents, which has the potential of
19 excluding potential technologies, which I'm going to
20 also touch on in more detail later.

21 In terms of benefits of standardization, I
22 think we can all appreciate and recognize that

Capital Reporting Company
Public Meeting - November 1, 2016

87

1 standardized tests provide very clear expectations for
2 both sponsors on the generic side and also on the
3 innovator side in terms of what needs to be submitted
4 to the FDA. And then of course for the FDA, it really
5 facilitates review to be looking at a range of
6 standardized tests. And also for advisory committees
7 to be looking at comparable testing across products.
8 You potentially can improve the interpretation of
9 results, and you can potentially also eliminate tests
10 that don't provide meaningful data or extraneous tests
11 on products.

12 But on the potential drawbacks side, if one
13 follows a very limited number of tests, there is a
14 potential to not explore weaknesses of new
15 formulations, or potential generic formulations. And
16 then the other pitfall we have is that if standardized
17 tests are overly specific, they become quickly
18 outdated.

19 So as new technologies come forward, either
20 new technological approaches or even new products
21 within a technological approach, those protocols
22 cannot be applied to those new products. And as we'll

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

88

1 see hopefully through the examples today, it's a bit
2 impractical to try to design a range of studies that
3 are going to be able to anticipate new developments
4 that are on the horizon in this area.

5 And lastly, standardized tests can
6 oversimplify the complexity of abuse deterrent
7 features. And so if one is designing products to meet
8 a specific list of tests, there's a possibility that
9 we're not going to see future formulations with more
10 rigorous abuse deterrent properties, or future
11 formulations that continue to have this iterative
12 additional benefit that we would like to see in the
13 marketplace.

14 I think this list has been presented already
15 a few times over the last couple days. I won't spend
16 a lot of time on it, but these are the seven products
17 that FDA has approved with abuse deterrent labeling
18 consistent with the innovator guidance. And on this
19 slide we see that they can be bucketed into two
20 general approaches, physical/chemical barriers and
21 then also agonist/antagonist approaches.

22 And what I'd like to do for a couple of

**Capital Reporting Company
Public Meeting - November 1, 2016**

89

1 minutes is just talk about these approved products in
2 a little more detail than we just saw in that last
3 slide. I think it's easy to think about
4 physical/chemical barriers, for example, as a
5 homogenous group of products. Or to think about abuse
6 deterrents in general as a homogenous group of
7 products.

8 But one of the things I'm attempting to do
9 with the picture on this slide is just show that for
10 example the variety of physical forms alone of these
11 products. So we have hardened tablets. We have
12 pellets in a capsule with a sequestered antagonist
13 core. And we have waxy microspheres in a capsule. So
14 just looking at this at a very macro level, you can
15 imagine that the tools you'd have to apply for
16 manipulation, for example, would be very different
17 across this range of products.

18 And then beyond the physical forms, there's
19 also the inactive ingredients that are applied. So we
20 have gelling polymers, waxy materials, insoluble
21 coatings, all of which have a range of solubility,
22 melting points and other physical properties, which

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

90

1 result in a lot of diversity and complexity among this
2 group of products.

3 And of course as we consider products that
4 are in development, this diversity grows, right. So
5 in the guidance there are described other approaches,
6 such as the use of aversive agents or prodrugs, or
7 combinations of approaches, which will introduce
8 additional complexity into the area.

9 And then there are a range of approaches, as
10 I had alluded to before, even within the
11 physical/chemical barrier category. So we've seen in
12 development capsules with viscous liquids, coated
13 particles embedded in a gelling matrix, and injection
14 molded tablets in development, which will require
15 again a different range of tools and testing.

16 So, I think the point I'm trying to make
17 here, and I think is pretty clear from all of these
18 examples, that as we develop overly specific testing
19 protocols, we're simply not going to cover this range
20 of products. And that's not only true for future
21 developments, but frankly for the products we have
22 before us right now that have been approved.

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

91

1 So in the next several slides I'd like to
2 just walk through some examples, and again using the
3 draft generic guidance as a model potentially for
4 in vitro standardization. And I'd like to sort of
5 illustrate two points. One is in terms of the scope
6 of the guidance, how different technologies and even
7 different products within a technology won't
8 necessarily be covered by the scope of the testing
9 included in the guidances.

10 And then the second point I'd like to
11 illustrate is what I alluded to earlier, which is how
12 the selection of discriminatory conditions using this
13 reference versus non-ADF comparator, can actually
14 influence the results you obtain, and potentially miss
15 something about a potential generic product.

16 So first in terms of mechanical manipulation
17 on this slide. The current guidance includes three
18 tools to be applied to products. They are all methods
19 to chop formulations. So there's no tools described
20 in the current guidance to pulverize, such as a
21 hammer, or a pill crusher, or a mortar and pestle.

22 And in the photograph I've shown here, I

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

92

1 really want to illustrate how the scale of, for
2 example, a standard kitchen grater, and the scale of a
3 microsphere formulation, aren't compatible. So this
4 isn't a tool that you'd apply to this product, so
5 different tools would need to be applied to this
6 product. And at current, with the current draft, two
7 of the three manipulation techniques in the guidance
8 wouldn't apply to multi-particulates.

9 Also with respect to mechanical
10 manipulation, the current guidance specifies single
11 tool manipulation for five minutes. We know that
12 there are products in development that may require the
13 application of multiple tools. We also know that
14 there are some products for which over crushing could
15 actually have the adverse consequence of increasing
16 the particle size as opposed to continuing to reduce
17 the particle size.

18 And so in short, the appropriate selection
19 and optimization of a mechanical manipulation
20 methodology for an individual product is really
21 critical. And this becomes especially critical when
22 you think about mechanical manipulation being the

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

93

1 first step in the subsequent in vitro testing for
2 extractability and others. And also in subsequent
3 Category 2 and Category 3 PK and human abuse potential
4 studies.

5 I'd like to touch a little bit on
6 insufflation and the current guidance treatment of
7 that. And this particular example focuses more on the
8 establishment of a discriminatory condition. So in
9 the current guidance, and I know we've heard that the
10 particle size cutoff may change, but the current
11 guidance basically specifies manipulating the product,
12 and if fines are produced, which are defined as
13 particles under 500 micron, that are less than
14 10 percent of the mass of the crushed product, no
15 subsequent in vivo testing is done. But if there are
16 fines present in greater than 10 percent presence,
17 then subsequent PK studies would be done.

18 And in the current methodology, it specifies
19 milling the product. And then if fines cannot be
20 produced by milling, it does allow for alternative
21 crushing procedures. But there is no requirement to
22 use the best method. And it would be possible to

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

94

1 bypass an in vivo study, for example, by applying an
2 inferior method.

3 And in the example that we have here, we
4 consider that if we had a potential generic product,
5 for example, that was crushed, and you achieved
6 greater than say 15 percent, so you met the threshold,
7 you would go into a PK study with milling, you could
8 do your test in your reference product both with
9 milling, but there could be perhaps another
10 methodology, not something esoteric but something very
11 simple to obtain, where your generic actually had a
12 much greater degree of fines, or much higher quantity
13 of fines.

14 And so this becomes important because I know
15 that this is a theoretical example, but I think what
16 we can all appreciate, and what we've seen from FDA
17 present on their work in their laboratory, is that
18 when you start thinking about generics potentially
19 having different excipients, or even different
20 processes, we can imagine a scenario where one tool
21 would give comparable particle size distribution and a
22 different tool actually would not give comparable

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

95

1 particle size distribution.

2 An additional example of selection of
3 discriminatory conditions is in abuse by injection.
4 So if you consider that the way the guidance is
5 currently situated, for abuse by injection, a range of
6 parameters is included for exploration. And again,
7 you explore the reference product versus a non-abuse
8 deterrent comparator over, for example, a range of
9 volumes from 1 to 10 mL.

10 And so the example I've provided on this
11 slide is one where you have a gelling tablet. You
12 explore that range of parameters between 1 and 10 mL,
13 and you find that at 2 mL, you have a significant
14 difference, you have an abuse deterrent effect of your
15 reference product. And you select that as your
16 discriminatory condition.

17 Well then, when you go in and you test the
18 potential generic versus the reference, you're
19 bypassing the more rigorous conditions of 5 and 10 mL,
20 or at least that's our interpretation and
21 understanding of how the guidance works.

22 And so that's important because although it

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

96

1 may be discriminatory when you look at a non-abuse
2 deterrent versus a reference product at 2 mL, you may
3 see differences between a potential generic and the
4 reference at 5 or 10 mL.

5 And the next example I'd like to provide is
6 more one of scope, not one of a discriminatory
7 condition. So in the current guidance, as has already
8 been discussed a great deal over the last couple of
9 days, there's very limited attention to other
10 technologies, such as agonist to antagonist. And this
11 is again a theoretical example, but it illustrates
12 how, what the guidance calls for, which is
13 characterizing the ratio of the antagonist to the
14 agonist is only one attribute of an extract.

15 There's also the absolute amount of an
16 agonist that's present in a particular extract. And
17 in this theoretical example, we can imagine a scenario
18 where the ratio extracted is the same or comparable
19 between two products, but there's a lot more agonist
20 in one of those extracts than the other. And that
21 could actually drive and determine the likability of
22 that extract. And with respect to agonist/antagonist,

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

97

1 we also know that biphasic extractions are important,
2 which aren't contemplated by the current guidance.

3 And so this is my final slide by way of
4 examples, and it contains three examples, which are
5 again directed more at the scope of the guidance in a
6 few different areas. So for abuse by injection, the
7 current guidance calls for extractability in small
8 volumes of water, and syringeability through various
9 sized needles.

10 So when we think about the scope, we can
11 think of products for which when heat is applied they
12 flow, or melt, and there's no requirement in the
13 current guidance to study syringeability of those
14 heated or melted products.

15 Also, for products that don't contain a
16 gelling agent, forcing through various gauge needles
17 is not an applicable test. And so the guidance is
18 very focused on that hard to crush gelling tablet, as
19 we've already discussed.

20 For abuse by ingestion, the percent of
21 opioid is extracted in various solvents, but it
22 doesn't really contemplate the real world

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

98

1 applicability of those extracts. So for example, are
2 you actually preferentially concentrating the active
3 versus your excipients, or is that extract a messy mix
4 of your excipients and your active? And these are
5 characterizations that we know some innovator sponsors
6 have done in fully characterizing their products.

7 And lastly, for abuse by ingestion, the
8 current guidance, as Elisabeth had pointed out,
9 specifies dissolution in 0.1 normal HCL. We know that
10 there are excipients that are pH sensitive for which
11 0.1 normal would not be a discriminatory condition.
12 And so there needs to be room for applicable
13 dissolution methodologies for a variety of products.

14 So those are quite a few examples I've gone
15 through, but I hope what I've illustrated is the
16 challenge we have here, the difficulty in
17 contemplating standardized tests that are going to
18 cover our current range of products that we have on
19 the market, and are going to be able to be forward
20 looking to things that are in development.

21 So where do we go from here? So, there is a
22 danger of throwing up our hands and saying, no

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

99

1 standardization is possible, but that's not the
2 message we want to convey today. The message we want
3 to convey today is that there are probably areas that
4 we can think about standardizing testing and
5 introducing more standardization. We know the
6 innovator guidance is very flexible, and perhaps is a
7 little light on some of the details that may be
8 possible to pin down. But what we also know is that
9 the current draft generic guidance is too limited in
10 scope and a little too specific in the testing
11 paradigms.

12 So what we offer here is a potential
13 paradigm for how to think about this as we move
14 forward. And I don't think it's very different than
15 what was presented earlier this morning by
16 Dr. Lostritto. And that's that we establish a core
17 set of tests that really provide a starting point.
18 And as we think about the core, we think that it will
19 likely need to be subsetted (ph) by approach. In
20 other words, the core set of tests for a
21 physical/chemical barrier product wouldn't be the same
22 core you would want to apply to an antagonist/agonist,

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

100

1 but it may be possible to establish a limited core.

2 But we do want to emphasize that the Branded
3 Working Group believes that this core is really a
4 starting point, and that additional product specific
5 testing, with knowledge of the product, knowledge of
6 excipients, knowledge of the process, is really going
7 to be important to fully characterize the product.

8 And we also believe that, similar to what
9 has been presented by the Generic Working Group, we do
10 believe that recommendations around specific products
11 probably will benefit from being in product specific
12 guidances. And that this is particularly true when we
13 start thinking about Category 2 and 3 and the in vivo
14 requirements for individual products, it's going to be
15 very important to have product specific guidances
16 around testing.

17 And one last point on product specific
18 guidances, and this has been brought up already. We
19 do want to avoid roadmaps to defeat products, so
20 that's also part of the balance here.

21 And one last bullet we have on this slide,
22 which is a little out of place, but we did want to

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

101

1 touch on the question around shelf life and also
2 product life cycle. And as we got together as a brand
3 working group, we had come to the same conclusion
4 about implementing risk assessment type approaches to
5 identifying critical product attributes that one would
6 monitor and be able to use as a sentinel for the abuse
7 deterrent properties of product.

8 So in conclusion this morning, as a Brand
9 Working Group, we do believe that there is a rationale
10 and an opportunity to incrementally increase the level
11 of standardization we have in in vitro testing of
12 abuse deterrent products, but we need to be
13 contemplating the current range of technologies and
14 future technologies that may come down, again that may
15 be introduced in the next several years. And it's our
16 position that the draft generic guidance is simply too
17 specific in scope and the types of tests that are
18 required to be able to cover more than hard to crush
19 gelling tablets.

20 We believe that a focused, concerted effort,
21 led by FDA, could help us arrive at rationale
22 standardization recommendations. This meeting is a

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

102

1 start. Also we recognize that a Category 1 focus
2 group that has representatives from industry, academia
3 and FDA, has been convened to look for opportunities
4 for standardization, continues the work of the CCALC
5 group. And beyond that, we think that an actual FDA
6 working group on standardization may also be
7 beneficial moving forward.

8 In our last comment we just want to
9 reiterate that as we implement standardization, we
10 shouldn't lose the spirit of the original innovator
11 guidance for the development of abuse deterrent
12 products. And that is that robust and iterative
13 testing needs to be carried out to ensure appropriate
14 abuse deterrent properties. And that all sponsors
15 should be providing a totality of evidence to support
16 a product, including Category 1, 2 and 3 studies.

17 And that's all I have today, and I thank you
18 very much for your time.

19 DR. LOSTRITTO: Thank you, Alison. Very
20 nice talk. And I want to thank all the speakers this
21 morning, I guess myself too, specifically the other
22 speakers this morning for their nice contributions.

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

103

1 So we're a little bit ahead of schedule and
2 I have a couple housekeeping things here. We're going
3 to start our public comment period in a moment. We
4 only have three folks for public comment this morning,
5 so we've decided that we're going to increase the
6 period of public comment for each person to 15
7 minutes, from 10 to 15 minutes, if you want to use it.
8 That may leave some time at the end for more Q&A for
9 all of the folks who spoke this morning. So speakers
10 from this morning, be ready for that, but just some
11 housekeeping announcements first.

12 So this is the public comment period on
13 potential new approaches to abuse deterrents. The FDA
14 places great importance in public comment periods.
15 The insights and comments provided can help the
16 Agency. That said, in many instances, and for many
17 topics, there will be a variety of opinions. One of
18 our goals today is for this public comment period to
19 be conducted in a fair and open way, where every
20 participant is listened to carefully and treated with
21 dignity, courtesy, and respect. Therefore, please
22 only speak when recognized by the chairperson. Thank

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

104

1 you for your cooperation.

2 So we have our three folks here. And the
3 first, and I'm going to try and -- I believe Alexander
4 Kraus is first. Is Alexander Kraus here? Okay. I
5 believe you have some slides here.

6 DR. KRAUS: Good morning. My name is
7 Alexander Kraus. I am employed with Grunenthal USA in
8 Morristown, New Jersey. Grunenthal develops abuse
9 deterrent technology for opioid, stimulants and other
10 schedule drugs of abuse. The technology and patents
11 are licensed to manufacturers in the United States.
12 Opinions expressed in this testimony are my own and
13 not necessarily those of Grunenthal. Statements made
14 are not by or on behalf of any partner or other drug
15 manufacturer that we work with.

16 This session is about innovation and new
17 technologies in formulations for abuse deterrents.
18 Grunenthal has more than 15 years of experience in the
19 development and characterization of innovative abuse
20 deterrent technology and products, and has, and is
21 continuing to pioneer the development of a crush
22 resistant, physical/chemical barrier approach.

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

105

1 We believe that this approach offers
2 significant potential to reduce misuse and abuse of
3 prescription drugs, opioids in particular, with the
4 benefit of keeping the clinical efficacy and safety
5 profile of the original product in the intended
6 population upon reformulation. The first reformulated
7 products with abuse deterrent properties that have
8 been released into the market incorporated
9 physical/chemical barrier approaches, namely
10 resistance to crushing and gelling. I want to show a
11 first slide.

12 What we see here on the slide are abuse rate
13 data from the RADARS system, from the time span 2010
14 to 2014 for the first two products that were
15 introduced into the market using the crush resistant
16 barrier approach, namely oxycodone extended release
17 and oxymorphone extended release.

18 On the left panel we see abuse rate data
19 from the system from the Poison Center abuse database
20 for oxycodone extended release. And we see a
21 consistent decline of the abuse rates over time. The
22 vertical lines in that chart represent certain points

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

106

1 in the timeframe. The first vertical line shows the
2 introduction of reformulated oxycodone extended
3 release in 2010. And we see that abuse rates after
4 the introduction of the reformulated product
5 consistently and sustainably went down.

6 The second, or the middle chart shows a
7 similar dataset for oxymorphone extended release.
8 Particular notice here is that after the introduction
9 of the reformulated extended release oxycodone
10 product, the abuse rates in this particular dataset
11 show a significant increase of the abuse of
12 oxymorphone extended release. Upon the release of the
13 reformulated oxymorphone extended release in 2012,
14 which is the second vertical line, and abuse rates
15 after that significantly dropped.

16 The third chart is abuse rates in comparator
17 opioids and are used for comparison only.

18 From this dataset it appears that the
19 properties of these reformulated products, namely
20 resistance to crushing in order to avoid form of a
21 fine powder suitable for intranasal abuse, and the
22 gelling properties, impeding preparation for

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

107

1 intravenous abuse, and the overall properties of the
2 products as a whole, would have provided a significant
3 barrier or deterrent effect for abuse of these
4 products.

5 And while these technologies certainly are
6 not perfect, because there's still the possibility to
7 abuse them, via oral specifically but also non-oral
8 routes, it is assumed that the technology provides a
9 significant barrier.

10 It's also reasonable to assume that in many
11 situations where inexperienced casual abusers, who
12 might be inclined to experiment with simple
13 manipulation techniques that are easily and
14 successfully applicable to standard non-abuse
15 deterrent opioids, may find that the resistance to
16 crushing provides a significant barrier of protection
17 for these forms of abuse and misuse in these products.
18 Whereas we sometimes focus a lot on the abuse by
19 experienced abusers who spend a lot of time and effort
20 to defeat the formulations, but that's actually not
21 necessarily the target population for abuse deterrent
22 products.

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

108

1 If we assume that the initial barrier to
2 protection in these products can help preventing the
3 progression of abuse behavior into more severe and
4 desirous forms of abuse, including intranasal and
5 intravenous administration, then it can also be a
6 significant barrier into progression of other forms of
7 abuse of illicit drugs, namely heroin.

8 This concept has actually been discussed by
9 a presenter from the CDC earlier this year at the
10 National Rx Abuse and Heroin Summit in Atlanta,
11 Georgia. And I want to show the next chart, which is
12 reproduced from that presentation. The chart that you
13 see shows the proposed progression pathway from oral
14 abuse of prescription opioids by the initiation of
15 non-oral abuse via snorting, all the way down to the
16 abuse of heroin and the risk of addiction and
17 overdose.

18 Of particular note is that the crushing of
19 opioid in this progression is seen as one of the key
20 steps, kind of a gateway in the process. If this
21 holds true, which is not confirmed obviously, but was
22 discussed as a suitable model to investigate, if this

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

109

1 holds true, then the broader utilization of crush
2 resistant abuse deterrent prescription opioids should
3 offer a significant contribution to curb not only the
4 abuse and risk of overdose from opioids in the current
5 abuser generation, but even more importantly may have
6 the potential to prevent, at least to an extent, the
7 next generation of abusers to initiate developing
8 their risky habits.

9 Abuse deterrent opioids are not the sole
10 solution to the opioid epidemic of course. Current
11 abuse deterrent technologies aren't perfect.
12 Therefore coming back to innovation, Grunenthal is
13 continuing its effort and investments to continuously
14 improve abuse deterrent technology and formulations,
15 both for existing and for newly developed products, to
16 make these products better in the abuse deterrent
17 properties and safer in the hands of patients and the
18 community they live in.

19 Stronger incentives for further development
20 and improvement are needed to support these efforts,
21 and to improve abuse deterrent technology in the
22 existing extended release phase, and to allow

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

110

1 development of new technology in fields where abuse
2 deterrent products are currently lacking, like
3 specifically short-acting immediate release opioid and
4 prescription stimulants.

5 As we heard yesterday, about 90 percent of
6 the current opioid prescription volumes are for
7 immediate release products whereas none of these
8 currently have demonstrated abuse deterrent properties
9 according to the requirements laid out in the FDA
10 innovator guidance for abuse deterrent products.

11 IR opioids are, and will probably for the
12 foreseeable future, be a mainstay in pain management,
13 and they will likely continue to see high utilization
14 and high rates of abuse, misuse and diversion.
15 Grunenthal therefore has made efforts to develop new
16 innovative abuse deterrent formulation technology that
17 is applicable to IR opioids across the spectrum of the
18 available solid oral products, including specifically
19 fixed-dose combination products of opioids with
20 acetaminophen.

21 Of further concern is the increase in misuse
22 and abuse of prescription stimulants, especially in

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

111

1 younger populations of college students. A recently
2 published survey by the Partnership of Drug-Free Kids,
3 a charity organization, revealed that within a
4 population of college students they surveyed, up to
5 35 percent of the students used prescription
6 stimulants non-medically, with up to a third of them
7 manipulating the drugs. Grunenthal is pioneering the
8 development of abuse deterrent forms for these
9 products as well as we see severe harm potential in
10 this vulnerable population stemming from the
11 inappropriate use of these products.

12 Thank you for the opportunity to testify
13 today.

14 DR. LOSTRITTO: Thank you, sir. Nathan
15 Langley.

16 MR. LANGLEY: My name is Nathan Langley, and
17 I'm an employee of Gatekeeper Innovation. And I'm
18 here to comment on somewhat of a different angle of
19 abuse deterrence than has been discussed over the past
20 day. Gatekeeper Innovation, we provide medicine
21 safekeeping for good health. And our first product is
22 Safer Lock, which is a combination locking cap that

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

112

1 fits directly on existing medication bottles, designed
2 to make sure medications are staying in the right
3 people's hands.

4 This has been recognized, has the National
5 Parenting Seal of Approval, the Mom's Best Award, and
6 also recognized by the Center for Lawful Access and
7 Abuse Deterrence as a top five technology to reduce
8 prescription drug abuse in the US.

9 Before I jump into my presentation, I want
10 to share with you why this company was started and why
11 this product was developed. My partner almost lost
12 his younger brother to prescription drug abuse, and it
13 could have been prevented with something as simple as
14 Safer Lock. His mom was in a very bad car accident,
15 has had over 30 back surgeries to date. And as you
16 can imagine, she was prescribed heavy pain medication
17 to cope with this, while my partner's younger brother
18 at the time was a high school student.

19 And she had the handicap sticker on her car,
20 and when she would drop him off at school, his friends
21 would ask, Hey, what is your mother taking. Well he
22 found out, and people were offering him money to

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

113

1 purchase these pills. While he did not sell the pills
2 on campus, he was curious enough to try them himself.
3 And what he did was take one or two pills at a time,
4 put the bottle back without her noticing, and he liked
5 the way it felt. This curiosity turned into a habit,
6 which eventually became an addiction.

7 Now his mom did not know this was happening.
8 In fact she went to the extent of accusing pharmacists
9 of shorting her on her medications. I've spoken with
10 several pharmacists, even some in this room, who
11 mention that this is not a unique situation. So by
12 the time that she did find out though, he was already
13 addicted. Long story short, he's been to rehab four
14 times. He is sober today, but it's our mission to
15 prevent as many other families from going through the
16 same experience.

17 Now we understand that prescription drug
18 abuse is a very complex issue and that there is no
19 silver bullet to solving this. And from this we did
20 some research, and as everybody in this room knows,
21 this was not a unique situation. One point nine
22 million Americans have a substance abuse disorder

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

114

1 involving prescription pain relievers. And according
2 to Drugfree.org, 90 percent of prescription drug
3 addiction starts in the teenage years. And according
4 to the CDC, 70 percent of all prescription drugs that
5 are abused originate in the home, wherein just
6 3 percent are locked up.

7 And once again, as I mentioned, this is a
8 very complex issue. It goes into all the elements
9 that we've been talking through over the past day,
10 from drug formulation, proper prescribing of
11 medications, proper disposal, making sure medications
12 are taken as prescribed. We do have a next generation
13 of our product coming out that has an adherence
14 component that communicates with the doctors and
15 patients, but I'm here to talk to you about Safer Lock
16 today, which is the combination locking cap that fits
17 on existing medication bottles.

18 There are 10,000 possible combinations to
19 the bottle. The patient sets the combination to their
20 preference so it's easy to remember. It is CPSC
21 certified, which makes it child resistant and senior
22 friendly. It's also USP 671 certified, which means

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

115

1 the seal is tight enough to not allow in moisture so
2 the medication is still safe inside there. And if
3 fits existing pharmaceutical bottles.

4 A question that we commonly get is, well
5 can't somebody just take the whole bottle or smash it.
6 And absolutely. Somebody who is determined enough is
7 going to find their way into anything, but the issue
8 that we're trying to help address is people who are
9 taking one or two pills at a time, putting the bottle
10 back without anybody noticing.

11 So why aren't patients currently locking up
12 their medications? Well one is misconception, and
13 this goes into the education piece. Because a doctor
14 prescribed it, the medication must be safe. This is a
15 very common misconception. While the medication is
16 important to that person who it is prescribed to, it
17 can often be very dangerous to others.

18 The next element is denial. My partner's
19 mother went through this. Not my child. Not my
20 brother. Not the housecleaner. Not anybody visiting
21 my house is going to be interested in my medication.
22 It's for me. Why would they want to do that?

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

116

1 And then awareness. One, patients aren't
2 aware of the tools to lock up their medications or to
3 store them safely. Or they're not even aware that
4 they should be storing them safely.

5 And we have a few key initiatives that we're
6 doing to address some of these reasons why people are
7 not currently locking their medications. One of
8 these, the product is available in retail across the
9 US. Now, while we're happy to make this available to
10 people in retail pharmacies across the US, one of the
11 challenges with our retail approach is that the people
12 who are purchasing this product on their own have
13 already had an issue. And it's our mission to get it
14 into people's hands who haven't an issue yet, prevent
15 it from ever starting in the first place.

16 So, some of these other initiatives were
17 actually allowing to do this -- or were able to do
18 this. Illinois passed the bill this last year to
19 provide incentives to pharmacies to dispense
20 hydrocodone in four digit locking devices. Recently
21 launched with Cook County hospital systems, also in
22 Illinois, where they're dispensing hydrocodone with

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

117

1 Safer Lock on their medications.

2 And then also we work with Pernix
3 Therapeutics, where anytime somebody is prescribed
4 Zohydro ER, the doctor actually gives the patient a
5 coupon for a free Safer Lock to ensure that they have
6 the proper tools to make sure that they can store
7 their medication safely and that it's staying in the
8 prescribed holders hands.

9 Now these are the types of initiatives where
10 we think we can really make a difference, where we're
11 getting it into the people's hands who have not yet
12 had an issue. And it avoids the misconceptions and
13 the denial. They don't have to worry about that
14 because they have it.

15 Some of the things that we are measuring
16 with our pilot program, it's difficult for us to
17 measure if we've had an impact because it's a very
18 lagged indicator because we're preventing the next
19 generation, so we won't know until the next generation
20 if there's less addiction. But the misconception is
21 one thing that we're looking to measure as far as if
22 it's locked up are people less likely to share knowing

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

118

1 that it has the ability to be dangerous to others.

2 And these are the types of things we're
3 looking to expand on, work with other county and state
4 programs, other pharmaceutical companies. We've
5 actually gotten a lot of interest from both the
6 branded and generic side, which that's as far as I can
7 go into detail on that, but we're excited about that.

8 So, our mission is to save lives by
9 preventing misuse and abuse before it ever starts. We
10 have a solution, but we know we can't do this alone.
11 We're looking for partners who can join us in our
12 mission to have every prescription drug stored safely.
13 If you have any questions, this something that might
14 be of interest to you, this is my contact information.
15 Please do not hesitate to reach out. Thank you.

16 DR. LOSTRITTO: Thank you, Nathan. Andrew
17 Barrett?

18 DR. BARRETT: My name is Andy Barrett. I am
19 an employee of KemPharm. KemPharm is a clinical stage
20 company developing a number of prodrugs of opioids to
21 treat pain, and prodrugs of stimulants to treat ADHD.
22 Our prodrugs are, by design, abuse deterrent as the

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

119

1 API remains inactive until and unless converted to the
2 active moiety by enzymes in the intestinal tract.

3 Thank you for the opportunity to speak
4 today. Before I proceed with my formal remarks, I
5 would like to take a moment to commend all those in
6 the room today who have been involved in the effort to
7 address the epidemic of prescription opioid abuse.
8 The efforts of the FDA, the pharmaceutical industry
9 and the medical community to come together to address
10 this very important situation must be applauded.

11 In this regard, I welcome the opportunity to
12 speak today with the hope of building on this
13 tremendous work and advancing technologies that enable
14 patients to gain relief from pain, while helping to
15 deter abuse of these beneficial medicines.

16 Without question, the 2015 FDA guidance on
17 abuse deterrent opioids was a watershed moment for the
18 prescription opioid industry. Not only did it serve
19 to establish the FDA's thinking about the studies that
20 should be conducted to demonstrate abuse deterrent
21 properties, it also provided recommendations about how
22 those studies should be performed and evaluated in

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

120

1 product labeling.

2 With this, pharmaceutical companies and
3 researchers were given a foundation for developing
4 opioid drug products with potentially abuse deterrent
5 properties. The result has been a wave of new
6 products and technologies that collectively offer the
7 promise of substantially curtailing prescription
8 opioid abuse.

9 Will the problem ever be remedied in full?
10 I think we can all agree that the answer is no, but
11 the efforts of the FDA with its 2015 guidance has
12 served to chart a new optimistic course for the
13 analgesic drug development industry. That said, we
14 are gathered here today because there is an
15 opportunity to potentially improve upon the guidance
16 by taking into account new technologies and approaches
17 that were not fully recognized in the 2015
18 recommendations.

19 This is no fault of the FDA or those who
20 have advised the FDA in drafting the carefully
21 considered 2015 guidance. Rather innovation is simply
22 dictating new terms, and this is a good thing. The

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

121

1 Office of Pharmaceutical Quality has put forth a
2 vision for standardizing in vitro testing
3 methodologies for evaluating abuse deterrent
4 formulations of opioid drug products.

5 Included with this was a review of the
6 efforts being made to standardize in vitro testing
7 conditions for future products along with potential
8 challenges that could be encountered, as well as
9 insight from the OPQ's Office of Testing and Research
10 on its testing of abuse deterrent formulations,
11 including approaches being taken to simulate how
12 abusers can manipulate opioid products.

13 While I think we can all appreciate OPQ's
14 and FDA's interest in developing standardized
15 practices, it is my belief that standardization
16 presents certain challenges, particularly with respect
17 to innovations that may not have been fully realized
18 at the time such guidance was developed.

19 Illustrating this point, the current FDA
20 guidance for Category 1 testing is designed primarily
21 to test putative abuse deterrent extended release
22 opioids designed with physical and/or chemical

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

122

1 barriers. This is to be expected since at the time
2 the guidance was drafted such technologies were the
3 furthest advanced and most understood. These
4 parameters were critical for gaining FDA approved
5 abuse deterrent labeling on a number of ER matrix
6 technologies that resist dose dumping. Encouragingly,
7 current data suggests that such products have made
8 meaningful impact on certain forms of abuse. And as a
9 result, such products represent a foundational
10 technology to the field of abuse deterrent opioids.

11 However, where the Category 1 guidance
12 succeeded in introducing a first wave of abuse
13 deterrent products, it has also proven to be somewhat
14 narrow in scope when applied to newer technologies
15 that do not rely on physical/chemical barriers or
16 agonist/antagonist combinations to achieve abuse
17 deterrence.

18 For example, prodrugs are being developed
19 that require enzymatic conversion to an active opioid
20 moiety to achieve analgesia. In its 2015 guidance,
21 the FDA recognized new molecular entity prodrugs as
22 one of the seven abuse deterrent formulation

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

123

1 categories, remarking that prodrugs with abuse
2 deterrent properties could provide a chemical barrier
3 to in vitro conversion to the parent opioid, which may
4 deter abuse of the parent opioid.

5 However, current FDA guidance is focused
6 primarily on the extent to which an abuse deterrent
7 formulation resists manipulations that facilitate
8 snorting, injecting and smoking the opioid. For
9 instance, Category 1 testing that involves crushing,
10 grinding or milling with an assortment of commonly
11 available tools is not relevant for prodrugs where the
12 putative abuse deterrent properties are inherent to
13 the molecule and not a function of a particular
14 formulation.

15 For a prodrug to be converted to the active
16 moiety, the covalent bond must be broken between the
17 opioid molecule and another ligand. Accordingly, the
18 ability to hydrolyze the inactive prodrug into an
19 active opioid molecule is a key consideration in the
20 Category 1 evaluation of such products. This is but
21 one example illustrating why additional stipulations
22 on novel technological approaches can potentially

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

124

1 deter multiple forms of abuse should be incorporated
2 into future guidance so that such guidance maintains
3 relevance over time.

4 Moreover, additional considerations should
5 be given to the evaluation of putative abuse deterrent
6 IR opioid products, specifically whether a one size
7 fits all approach should be used, or whether specific
8 considerations should be given to IR opioids that must
9 be immediately bioavailable to provide analgesic
10 benefit. This is especially important given that an
11 individual's first exposure to an opioid is usually an
12 IR opioid, the abuse of which can progress to more
13 potent opioids and alternative routes of
14 administration.

15 In conclusion, I believe there is a prime
16 opportunity with the generic solid oral opioid drug
17 product guidance to include measures for testing and
18 evaluating abuse deterrent formulations that account
19 for a variety of technologies and target products.
20 While standardization can have its benefits in terms
21 of setting easily understood parameters, it can lead
22 to a narrow casting that can stifle innovation and

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

125

1 hamper the introduction of products that could have a
2 positive impact on remedying the epidemic of
3 prescription opioid abuse. Thank you for your time
4 today.

5 DR. LOSTRITTO: I want to thank our three
6 public comment speakers for their sincere and
7 thoughtful comments this morning. Thank you very
8 much.

9 This concludes the public comment period
10 formally, but we find ourselves with the nice position
11 of having some extra time on our hands. So, rather
12 than break for lunch early, I'm going to ask all of
13 our speakers from this morning, Cindy and Alison and
14 Elisabeth, to come on up and we'll take some Q&A.

15 And if you want to ask some questions,
16 please queue up at the microphone and I would ask that
17 you identify yourself. And if you have a specific
18 question for one of the speakers, to please direct it
19 to that speaker. Or if it's a general comment that
20 you'd like me to share across the board, I will do
21 that too.

22 So no questions means you understood

**Capital Reporting Company
Public Meeting - November 1, 2016**

126

1 everything exactly, 100 percent, and you agree
2 completely with everything we said. Yes, sir?

3 DR. CONE: Ed Cone, Pinney Associates. One
4 of the primary initial evaluations of all of these
5 product starts with physical manipulation, and we've
6 spent a lot of time talking about methods. One of the
7 missing elements in defining, particularly for
8 intranasal administration, is defining particle size
9 distribution. And of course, that's very important
10 and we do that routinely in evaluations.

11 But one of the missing elements that we add
12 on when we evaluate a product is also look at
13 uniformity, and uniformity across band sizes for
14 particle size is very important to determine. And I
15 say that because we've seen a number of products that
16 differentially distribute the API into the finer
17 segments. So I guess I ask, is this, in your opinion,
18 something else that we should be doing because we
19 certainly do it on a routine basis?

20 DR. LOSTRITTO: Yes, I think some of the
21 discussions brought that out this morning, that
22 particle size distribution into fines and coarse, or

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

127

1 some distribution definition that you want to have,
2 D10,50,90 et cetera, could play a role in abuse
3 deterrence. Also the different methods may give you
4 different particle size distributions.

5 And I think somebody mentioned that it may
6 be feasible, I think it was Elisabeth that said that
7 in some cases maybe the active may be in the coarse
8 particles and less in the fine, so you have to look at
9 not only the distribution of particle size, but you
10 have to look at the distribution of active in the
11 particle size with the excipients.

12 I think you have also have to look at the
13 liking potential of that. Other excipients or other
14 ingredients that might be either innately aversive of
15 their own nature, or added specifically for that
16 purpose.

17 So I think, yes, I think looking at the
18 distribution, size distribution is very important.
19 You can't just look at a size number. So that's about
20 as I think specific as I can get, other than saying
21 that some of the ideas we pick up this morning will
22 add to our thinking on that in terms of where the

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

128

1 active is and what other things may be in there that
2 could decrease liking. It's not just about getting
3 the particle size, remember, it's about whether it's
4 going to be blank. And if anybody else wants to add
5 to that.

6 DR. FLEMING: I think one of the kind of the
7 way things were going yesterday, was we were reaching
8 the conclusion that for the intranasal route in
9 particular, evaluation of PK is going to be an
10 important component, because particle size alone may
11 not be sufficient to characterize that.

12 UNIDENTIFIED SPEAKER: If I could just --
13 I'm sorry, go ahead.

14 DR. KOVACS: Okay. If I can add to that and
15 we are in agreement that the particle size on its own
16 is not sufficient, however, there are technologies
17 where you can assist the composition of the fractions
18 and that can provide an understanding if it's uniform
19 or the API is disputed preferentially in one of the
20 fractions. So if your 10 percent or 15 percent of the
21 fine, for example, it's preferentially excipient, or
22 it's preferentially API, clearly it is going to lead

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

129

1 to two different options for conclusion. But there is
2 that availability to look in vitro before you go into
3 the PK studies.

4 UNIDENTIFIED SPEAKER: If I could just add
5 one more comment, one of the big questions that we've
6 asked for years now and have some feel for it, is
7 what's a snortable particle range? And there's only
8 one publication I know of that helps give a little bit
9 of information and it does seem to be below 500
10 microns, which is the desirable particle range.

11 But we know in clinical studies where we had
12 great difficulty getting things below 1,000 [microns]
13 , the majority were slightly below 1,000, but we still
14 had to do clinical studies for intranasal abuse-
15 deterrent labeling. So we presented that product that
16 had very little particle size distribution below 500,
17 and experienced snorters managed to snort the product.
18 It was primarily 1,000 microns and slightly less.

19 So we may be over focusing on the ideal
20 particle size range a bit. So that's a comment.

21 DR. LOSTRITTO: Thank you. That point's
22 well taken. I appreciate it. Mr. Zach

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

130

1 MR. ZACH: Hi everyone. My name is Luke .
2 I'm a pharmacy student so this question may seem
3 trivial, so forgive me. So I guess this would be
4 directed towards you, Rik. When I was listening to
5 your presentation how one of the goals is to determine
6 the aspects of an acceptable failure point, and I
7 think that's kind of an oxymoron, an acceptable
8 failure point.

9 DR. LOSTRITTO: Yeah.

10 MR. ZACH: And I was just wondering, how do
11 you determine like these thresholds? I was just
12 wondering if you could elaborate a little bit more on
13 the acceptable failure points.

14 DR. LOSTRITTO: Yeah, I used the best
15 nomenclature I could come up and we talked about that.
16 It does sound like an oxymoron. By acceptable failure
17 point, what I meant is something that is measurable,
18 reproducible, and understood. A failure is not
19 acceptable obviously, but we want to have a testing
20 situation for that failure that is acceptable in terms
21 of being reproducible, robust, and something that is
22 feasible to do in the lab. And what was the second

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

131

1 part of your question?

2 MR. ZACH: That was basically it. Just to
3 elaborate on like the thresholds and how the FDA is
4 determining the acceptable failure point, like
5 threshold, if that makes any sense.

6 DR. LOSTRITTO: Well, in terms of this
7 guidance, going forward, we're still considering how
8 to do that. As I mentioned, I think, at one point, it
9 may depend in part on the properties, the
10 pharmacological properties of the drug; what its
11 potency is and what concentration, and what mass is
12 going to be gleaned from a given operation and how
13 likeable or abusable that is. So it may vary from
14 drug to drug.

15 DR. HERTZ: Rik?

16 DR. LOSTRITTO: Yes, Sharon?

17 DR. HERTZ: So this is an important point
18 and -- so I'm Sharon Hertz. I'm the division director
19 for the Division of Anesthesia, Analgesia, and
20 Addiction Products. We've been working with the folks
21 here throughout the entire course of development of
22 these products.

Capital Reporting Company
Public Meeting - November 1, 2016

132

1 I want to just kind of refocus this a little
2 bit on some of the things that we've been learning
3 over time, and we can talk a little bit more about
4 this after lunch as well, but at the end of the day,
5 these products are intended to deliver the opioid in
6 order to be analgesic.

7 So by definition they fail if the goal was
8 to prevent release of the opioid. So we have to put
9 this in the right context. The failure rate is to see
10 how can the intended abuse-deterrent properties be
11 thwarted? So in that context, we ask sponsors to
12 manipulate the product -- and we're talking right now
13 about products with physical, chemical barriers -- we
14 ask companies to push the formulation to failure.

15 It's not failing as a product, but it's
16 failing in terms of the extent to which it resists
17 what can be very extreme methods well beyond what
18 would be expected out in the community, because we are
19 learning how to evaluate these products as these
20 products are developed, as these technologies are
21 developed.

22 And when we say, Push the product to

**Capital Reporting Company
Public Meeting - November 1, 2016**

133

1 failure, it is a way for us to understand the full
2 spectrum of the product's properties. Is it
3 susceptible in one particular area? And this is part
4 of the challenge that we have here in the context of
5 both standardizing methods and applying methods to
6 generic products, because the number of variables
7 hasn't been defined yet. It's potentially infinite.

8 So when we think about standardization, it
9 is not a limit on what should be applied to
10 understanding the product's performance. It is the
11 beginning of what should be applied. So
12 standardization should never be a reason for failing
13 to explore beyond, in order to characterize the
14 product's behavior. It's a starting point, not an
15 ending point.

16 So I think a lot of the concerns that we're
17 hearing would be true if we were trying to say this is
18 all one needs to do is these X number of steps. But
19 what we're trying to do is provide the starting point
20 for how one should approach these evaluations.

21 Similarly, even since the innovator guidance
22 was published, we're learning constantly in response

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

134

1 to the creative approaches that we're seeing applied
2 to this area. So I'm trying to sort of refocus the
3 idea. We're not attempting to make everyone do a
4 cookbook, but we're trying to create enough of a
5 starting point where companies can see how their
6 product is performing and then modify further
7 evaluation based on the responses.

8 So the idea is, if you pick a hydro -- I'm
9 going to come up with chemistry terms that are going
10 to show that I'm not a chemist -- but if you pick a
11 particular pH and show that your product immediately
12 is susceptible to that pH range, you don't need to go
13 further, but we want that pH explored.

14 And then you'll go to the other side of the
15 pH range and you might have a product that's very
16 robust in resisting manipulation there, and we want
17 that explored a little bit more to see what the extent
18 of that is.

19 So that's the idea of the standardization is
20 to give us a common foundation upon which these
21 products can be evaluated, and so that we're not
22 having completely different approaches that don't

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

135

1 necessarily overlap applied, because without that
2 overlap we can't fully compare. Does that help a
3 little bit?

4 DR. LOSTRITTO: Yes, I think so. Yes, thank
5 you very much.

6 MR. ZACH: Thank you.

7 DR. LOSTRITTO: Okay. If there are no other
8 questions, it's now 11:30. I would propose that we
9 take our hour break now and reconvene at 12:30. Is
10 that okay? Thank you very much.

11 (Whereupon a lunch recess was taken
12 at 11:30 a.m.)

13 DR. LOSTRITTO: Hi. We're going to start in
14 a couple of minutes. Welcome back. For those who
15 haven't signed in yet and registered, we notice that
16 the registration --

17 All right. Good afternoon and welcome back.
18 So we're going to have our second public comment
19 period and we have nine folks scheduled to make public
20 comment and they'll have -- is it eight or nine
21 minutes, Michelle? Eight?

22 MS. AVEY: Nine.

Capital Reporting Company
Public Meeting - November 1, 2016

136

1 DR. LOSTRITTO: Nine minutes each to make
2 their comments. We're going to ask that the speakers
3 please come up here, identify yourself and your
4 affiliation and who you're representing and any
5 potential conflicts of interest and so forth,
6 associated with your affiliation, just to make it
7 clear.

8 All right. First -- should I call off all
9 nine names so everybody knows who they are? All
10 right. We'll start with Pamela Osborne (ph). And not
11 here, so we'll go down the list. Sebastian Schwier?
12 Do you have anything submitted that needs to -- okay.

13 DR. SCHWIER: All right. Good afternoon.
14 My name is Sebastian Schwier. I'm a pharmacist by
15 training and I'm with Grunenthal, a privately-owned
16 company and located in Aachen, Germany. I'm a
17 full-time employee of Grunenthal, which has developed
18 abuse deterrent technology for opiates, stimulants,
19 and other scheduled drugs for abuse.

20 The technology and patents are licensed to
21 manufacturers in the U.S. Though opinions expressed
22 in this testimony are my own, and not necessarily

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

137

1 those of Grunenthal. Statements made are not by or on
2 behalf of any partner or the direct manufacturer.

3 At Grunenthal I'm an international technical
4 project leader and responsible for the development of
5 usage and formulations within Grunenthal. I've eight
6 years of expertise in developing abuse deterrent
7 formulations including formulation and process
8 development, developing in vitro tests, setting up
9 small test (inaudible) for formulation screening, as
10 well as larger tests set ups for complete
11 characterization of new formulations.

12 With my testimony today, I want to touch two
13 topics. One is a question, how in vitro tests can
14 contribute to assure abuse deterrent properties at
15 release and during shelf life. And the second one is,
16 how similar in appearance compared with the reference
17 listed drug, a generic ADF can or should be.

18 Concerning the first topic, it is, of
19 course, very important that for each product, critical
20 product attributes are defined and adequate process
21 and product understanding exists. I certainly agree
22 that standardized and validated in vitro tests, like

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

138

1 dissolution or assay tests have to ensure adequate
2 product quality at the timepoint of release and during
3 shelf life.

4 Part of each test method is also a
5 specification where acceptance criteria and limits are
6 defined. When we tried to set up specifications for
7 ADF properties like extraction rate or particle size,
8 we could consider a maximum allowed limit under one
9 specific test condition. For shelf life
10 specifications the limit can be identical, could be
11 bind) or can be turned into a maximum allowed
12 difference from the initial value.

13 The challenges we are currently facing are
14 variable results in combination with or as a result of
15 the methods that are currently not standardized. The
16 high variability of the results might lead to
17 autospecification results for products that are from a
18 conventional point of view okay.

19 That means conventional release parameters
20 like the dissolution profile assay and purity were met
21 and provide adequate product quality for the intended
22 use.

**Capital Reporting Company
Public Meeting - November 1, 2016**

139

1 Concerning the standardization of ADF
2 typical in vitro tests, I personally believe that the
3 tests have to be adapted for each technology,
4 composition, and API. Validation of a test method
5 with regard to excipients and API use may not be an
6 issue, however a test that is suitable for one
7 technology might not be suitable for another
8 technology, thinking on hard tablets or (inaudible)
9 capsules. Furthermore, results could also depend
10 strongly on test setup and the individuals that are
11 performing the test.

12 Therefore, my personal opinion is that, as
13 of today, ADF properties should not be part of the
14 release specification or specification during
15 stability testing. Nevertheless, ADF properties have
16 to be investigated thoroughly during development and
17 with a to be marketed product, including
18 investigations concerning the impact of storage on the
19 ADF properties of the drug product.

20 Concerning the second topic and the
21 question, how similar in appearance an ADF can or
22 should be compared with an non-ADF or another

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

140

1 reference product, I just want to make a brief
2 statement.

3 Similar to the difference between
4 formulation technologies for immediate release and
5 extended release dosage forms, the different
6 technologies lead to different sized and shaped
7 formulations, I think there are two options. Either
8 the generic has to be more or less a copy of the
9 reference ADF product, or the FDA allows more
10 flexibility for the generic companies.

11 In the first case, where the generic and the
12 reference product are more or less identical, there
13 should be product specific guidance. If the products
14 are more different, there should be more extensive
15 testing required. For example, iterative in vitro
16 characterization, PK and PD studies. This would also
17 allow switching from one to another technology, or
18 from tablet to capsule. Thanks for the opportunity to
19 testify today.

20 DR. LOSTRITTO: Next one is Edwin Thompson.
21 And Edwin, you have a slide I believe? Okay, let's
22 see if we can -- there you go.

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

141

1 MR. THOMPSON: Good afternoon. I am Edwin
2 Thompson, president of Pharmaceutical Manufacturing
3 Research Services located in Horsham, Pennsylvania.

4 The FDA in vitro testing for abuse deterrent
5 opioids is fatally flawed, and has resulted in the
6 misbranding of opioid products. Consequently, the
7 general principles of evaluating the abuse deterrence
8 of generic solid oral opioid drug products is flawed.
9 An applicant could receive approval for a generic
10 product with abuse deterrent labeling knowing,
11 however, that the generic product has no abuse
12 deterrent properties. To demonstrate the flaws in the
13 FDA's in vitro abuse deterrent testing, I will use the
14 testing and approval of abuse deterrent labeling for
15 OxyContin.

16 Abuse deterrent labeled OxyContin provides
17 no meaningful abuse deterrence to the primary known
18 route of abuse, oral consumption. The FDA has stated
19 that the vast majority of deaths associated with OC,
20 original OxyContin, were related to oral consumption.
21 The approved labeling for OxyContin that sales
22 representatives are promoting to physicians states,

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

142

1 "Relative to original OxyContin, there is an increase
2 in the ability of OxyContin to resist crushing,
3 breaking, and dissolution using a variety of tools and
4 solvents."

5 This statement may be generally true,
6 however it is highly deceptive, clearly lacks full
7 disclosure, and is misleading. OxyContin's label, in
8 table 4 reports that both original OxyContin and
9 reformulated OxyContin, are finely crushed, overcoming
10 the resistance to crushing and breaking.

11 Also, it was reported by the FDA that
12 reformulated OxyContin, when vigorously chewed,
13 results in dose dumping. The FDA review reported,
14 "Upon chewing vigorously, OFR and OC products are
15 bioequivalent, bioequivalent with respect to
16 oxycodone, Cmax and AUC. Reformulated OxyContin has
17 no meaningful advantage in breaking or crushing over
18 original OxyContin."

19 The summary of evidence and conclusion
20 section of the FDA reformulated OxyContin clinical
21 review included this statement. "The controlled
22 release properties of ORF, reformulated OxyContin, can

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

143

1 be overcome with chewing and swallowing." Physicians
2 should have been informed that the controlled release
3 properties of OxyContin can be overcome when finely
4 ground and swallowed, and chewed vigorously and
5 swallowed.

6 This is more important information to a
7 physician than the information in the labeling. This
8 information would prohibit rather than approve abuse
9 deterrent labeling for OxyContin. The FDA should stop
10 using friability tablet testing to support abuse
11 deterrent properties as it is not representative of
12 breaking strength for abuse deterrence.

13 Also, the OxyContin labeling informs
14 physicians that, quotes, "When subjected to an aqueous
15 environment, OxyContin gradually forms a viscous
16 hydrogel. For an example, a gelatinous mass that
17 resists passage through a needle. The division
18 director of DAIP at the time of the approval, Robert
19 A. Rappaport, MD, in his summary review stated, "These
20 features also render the product almost impossible to
21 dissolve, syringe and inject."

22 Douglas Throckmorton, MD, in his summary

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

144

1 review stated, "OCR gradually forms a viscous
2 hydrogel, i.e., for example a gelatinous mass that
3 resists passage through a needle. The in vitro
4 testing was sufficient to demonstrate that OCR
5 reformulated OxyContin prevents, prevents oxycodone
6 from being drawn into a syringe to any meaningful
7 extent." These statements are incorrect and
8 misleading.

9 The fact is that when OxyContin is subjected
10 to an aqueous environment, it can easily, easily be
11 extracted to high purity, and high label claim, by an
12 unskilled person in minutes, with a viscosity similar
13 to water, drawn into a syringe and prepared for
14 injection.

15 OxyContin can also be easily extracted in
16 alcohol to high purity and high label claim by an
17 unskilled person, and converted into crystalline
18 powder for distribution and sale. Reformulated
19 OxyContin does not have any meaningful abuse deterrent
20 properties to prevent extraction and injection. The
21 FDA need to explain their choice of 40 percent alcohol
22 rather than the readily available, inexpensive,

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

145

1 optimal alcohol options in conducting these studies.

2 The FDA for the last eight months has been
3 unable and unwilling to refute this information. In
4 response to my February 22nd, 2016 citizen petition,
5 docket number 2016-P-0645, the FDA wrote, "The FDA has
6 been unable to reach a decision on your petition
7 because it raises complex issues requiring extensive
8 review and analysis by Agency officials." The FDA
9 in vitro testing for abuse deterrence, both for
10 pre-FDA guidance and after FDA guidance, are flawed,
11 and must be corrected. And product labeling resulting
12 from these studies must be reversed. Thank you.

13 DR. LOSTRITTO: Thank you. Andrew Barrett,
14 please? Do you have any information to post here?
15 Okay.

16 DR. BARRETT: Good afternoon. I'm Andy
17 Barrett. I'm a full-time employee of KemPharm. Thank
18 you again for the opportunity to speak today. As
19 described in the briefing materials for today's
20 meeting, it is the FDA's intent to ultimately issue a
21 general guidance describing recommendations for
22 standardized in vitro testing to evaluate abuse

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

146

1 deterrent properties with the aim of informing
2 potential applicants that are developing abuse
3 deterrent formulations of opioid drug products.

4 In accordance, the FDA is hoping to
5 implement common protocols that incorporate standard
6 test conditions, specified performance standards,
7 control formulations, and a tiered approach to
8 determining when abuse deterrent properties have been
9 defeated, and how that information may be used during
10 drug development and for other relevant comparative
11 situations.

12 As a proponent of the scientific method, I
13 fully recognize the benefit of standardization, common
14 protocols, and controls in determining the
15 effectiveness of a technology. However, in the case
16 of emerging technologies, such as prodrugs, and abuse
17 deterrent formulations, there cannot be a one size
18 fits all approach.

19 Rather, as acknowledged in the briefing
20 materials for today's meeting and addressed in the
21 presentation made by the Branded Industry Working
22 Group, it is imperative that the FDA build flexibility

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

147

1 into standardized testing. Otherwise we run the risk
2 of narrow casting that can stifle innovation and
3 hamper the introduction of products that could have a
4 positive impact on remedying the epidemic of
5 prescription opioid abuse.

6 Illustrating this point, two formulation
7 strategies have led to FDA approved abuse deterrent
8 labeling for ER opioids. These are physical/chemical
9 barriers, and agonist/antagonist combinations. A key
10 reason for the success in getting such opioid products
11 approved is that current FDA guidance is focused
12 primarily on these two types of formulation
13 approaches.

14 On the other hand, this situation is
15 encouraging as companies clearly heeded the FDA
16 guidance in developing new ADF products with current
17 data suggesting that such products have made
18 meaningful impact on certain forms of abuse. However,
19 one potential consequence of advancing certain
20 standards related to abuse deterrence of extended
21 release opioids is that it will limit the impact of
22 emerging technologies and abuse deterrent formulations

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

148

1 aiming to address the immediate release opioids, which
2 account for greater than 90 percent of all opioid
3 prescriptions.

4 As a result, while there has been great
5 success in one area of abuse deterrence, opportunities
6 to make additional advances have been confronted by
7 challenges that may have been avoidable if greater
8 flexibility were applied to the 2015 FDA guidance.
9 The case in point is the requirement of all ADF to be
10 subjected to crush resistance as a part of the
11 evaluation process.

12 Crush resistance may be highly relevant when
13 considering physical/chemical barriers, for instance,
14 but has little practical application when testing
15 emerging technologies, such as prodrugs. For a
16 prodrug to be converted to the active moiety, the
17 covalent bond must be broken between the opioid
18 molecule and another ligand.

19 As such, testing the crush resistance of a
20 prodrug offers minimal value in determining its abuse
21 resistance because prodrugs require enzymatic
22 conversion to an active opioid moiety to achieve

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

149

1 analgesia. Therefore crushing a pro drug offers no
2 ability to access the active opioid, you simply get a
3 finer and finer powder.

4 In speaking today, my hope, and the hope of
5 others who have addressed the committee, is to
6 encourage the FDA and OPQ to take into account
7 emerging technologies that have yet to gain FDA
8 approval as the new standards for testing and
9 evaluation of ADFs are considered.

10 A breadth of new technologies, such as
11 prodrugs, gastric acid depleting formulations, Depo
12 injections, implantable devices and combinations of
13 existing approaches are rapidly advancing through the
14 clinic and towards regulatory review.

15 Many of these offer considerable promise to
16 improve upon technologies that have already been
17 approved and/or address specific currently underserved
18 segments of the market.

19 Much like the nuances of existing abuse
20 deterrent products, emerging technologies are likely
21 to have unique considerations that make strict
22 standardization difficult. We therefore advocate for

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

150

1 continued flexibility in such standards in order to
2 allow room for incremental improvements in abuse
3 deterrent technologies. Thank you again for your time
4 and consideration.

5 DR. LOSTRITTO: Next please, Ravi
6 Harapanhalli.

7 DR. HARAPANHALLI: Good afternoon. Thanks
8 to the FDA for arranging this wonderful session to
9 discuss all aspects of abuse deterrence. It seems
10 that science of ADFs may seem relatively new for some
11 people, but the science and perhaps the art of drug
12 abuse itself predates us (inaudible). And it is
13 incumbent upon us here to really come up with
14 solutions to see what we can do creatively and
15 meaningfully to solve this problem. How we can
16 creatively use the ADF technology to better advance
17 the cause of the public health.

18 So with that, I believe that the guidance,
19 particularly the 2016 guidance, is a very good start
20 and it has a lot of elements in it, and we should
21 really hone onto what's good about it and see how we
22 can build off from it. There was a lot of discussion

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

151

1 about the fact that the guidance focuses mostly on
2 crushable and gellable type products, and it's not
3 encompassing other technologies and so forth.

4 There was a discussion that pulverization is
5 not included, 0.1 normal HCL may not be adequate for
6 certain in vitro testing and so forth. So if we go
7 like this pathway, there's no end to it. I'm sure
8 there will be a lot of issues that we can come up with
9 that are not meaningfully perhaps covered in the
10 guidance. So it is upon us to understand the
11 principles behind it and see what industry also can do
12 to meet what FDA has been doing to advance the cause
13 of this guidance.

14 With that said, obviously there are a few
15 points that I would like to bring up. Totality of
16 evidence, I think it was discussed in great detail
17 yesterday. And to Rob's point that, clinical
18 relevance and clinical significance need to be
19 considered. I think that's a good point that FDA
20 made.

21 But a few points I would like to also bring
22 up here. In terms of totality of evidence, this

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

152

1 concept has been successfully utilized in other
2 programs at FDA. Biosimilars, for one, where they
3 have clearly laid out the whole pathway where you have
4 the physical/chemical testing rigorously at the base
5 of the pyramid, then you move on to PK, and then the
6 PD, and then, if necessary, a clinical bridge.

7 So a similar kind of a pyramidal structure
8 is something that I think we should strongly consider
9 here with in vitro testing being at the base of the
10 pyramid, and then you keep going up. Maybe PBPK and
11 then the PK, and if necessary then the HAL (ph)
12 studies.

13 Every time our decision should be based on
14 what we have really exhausted in the lower category of
15 testing, and what is the true residual risk that we
16 could not address and therefore we need to go to the
17 higher level. I believe it is helpful for both brand
18 and the generic industry.

19 We also heard from some aspects that all
20 three tiers of testing should be done for all
21 products. I feel that that's one extreme view on this
22 issue. As long as the chosen in vitro testing regimen

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

153

1 is adequately assessed, and it's reviewed, and it's
2 meaningfully conveying what it is supposed to, then I
3 think there should be good merit to what in vitro
4 testing data is suggesting before we go to the next
5 level of testing.

6 We heard good examples of high signal ER
7 (ph) in yesterday's FDA talk where there was a good
8 correlation between PK and VAS for drug liking (ph).
9 And they're also looking at taking the drug again as
10 another VAS criteria. If these kind of things suggest
11 that there could be good correlation and that in vitro
12 PK and then to HAL, if that sort of an access can be
13 studied well with maybe more examples, that should set
14 the stage for this kind of a hierarchal and risk-based
15 approach to testing.

16 One thing I would like to bring up is the
17 incremental improvements. I think there was some
18 concern yesterday that it shouldn't become
19 evergreening (ph) scenario for the brand companies.
20 So what does incremental improvement really mean? I
21 think we need more clarity from FDA on that. Is it
22 that it is only for the brand companies that they can

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

154

1 have certain minimum level of assurance in their
2 original formulation and then at what rate and what
3 extent they can keep changing it, that how it will
4 impact the generic approval process? I think that's
5 another point that I'm sure FDA will look into.

6 And a few other points I would like to make
7 is, yes, ICH Q8. This morning Rik's talk was very
8 well presented. I feel that this whole concept of ADF
9 formulation design and development should be not
10 considered as a separate entity but rather as part of
11 our overarching approach to formulation development.
12 QBD approach with ICH Q8 principles, starting with
13 target product profile, how they dive down into the
14 CQAs and then to your attributes that are selected for
15 release and stability testing, how all these fit into
16 your ADF assurance properties. I think if we do that
17 well in the PDR (ph) section, I hope that FDA won't
18 necessarily require stability testing of these
19 attributes on a regular basis.

20 And a couple more points. I thought that
21 there is some information needed on the controls. To
22 Rik's point, I think he asked in fact us to come up

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

155

1 with some suggestions. And the guidance says that you
2 send a control correspondence if you want to choose a
3 control that you are not sure of. Can we have a
4 little bit more clarity in the guidance itself that if
5 there is an immediate release non-ADF type formulation
6 already available in the market, maybe that's a first
7 choice. If not, then can we go to something outside
8 the U.S., same API in an immediate release form that's
9 in ICH approved countries, can we use it as a control?

10 Or, thirdly and most importantly, can we
11 make our own immediate release version? In my mind it
12 is no different than making placebos for
13 placebo-controlled clinical trials where we put enough
14 (inaudible) into making these kind of placebo tablets.
15 So same way, can we make our own immediate release
16 versions so that we can use them in our studies? So
17 these are some of the points I think we need to
18 discuss further.

19 And one more point, most importantly for
20 ANDAs and review. I'm sure FDA is already currently
21 reviewing a few ADF related ANDAs. So where they are?
22 How long it's going to take for them to review? What

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

156

1 kind of information they are going to communicate to
2 us? And how they think they can retrofit this current
3 discussion on ADFs to those ANDAs that are already
4 under review? More so whether they're really under
5 review or they've just been shelved until this
6 guidance becomes final.

7 So there are a lot of uncertainties and we
8 hope to get some clarity on that. And I also suggest
9 that because control correspondence or a pre-ANDA
10 meeting are not appropriate for those ANDAs that are
11 already under review, the FDA should seriously
12 consider mid-cycle review type mechanism and invite
13 all such ANDA applicants to come and discuss and see
14 how we can retroactively apply this guidance, and how
15 we can possibly bridge. Because most of the time,
16 companies may have already submitted this ADF type
17 assessment in one or the other form, so how to bridge
18 that. I think that is something the FDA should
19 creatively consider.

20 So I think with that, I have only 30 some
21 seconds. I don't want to take too much time, but
22 basically these were the points I wanted to discuss

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

157

1 here. And I thank again for this opportunity.

2 DR. LOSTRITTO: Thank you, Ravi. Candace
3 Edwards?

4 MS. EDWARDS: Good afternoon. My name is
5 Candace Edwards from Amneal Pharmaceuticals. And
6 first I want to thank the Agency for allowing the
7 stakeholders to participate in this policy making
8 process by providing industry with the opportunity to
9 present our positions in a public domain. I think
10 that's very important to sort of move this straight
11 forward so that we can get to our goal, which is to
12 finally get some approved generic abuse deterrent
13 formulation products on the market.

14 So it's an interesting journey. I look back
15 over where we started, where we are today. It's an
16 interesting journey we've traveled. Some of the key
17 events being, let's say the first introduction of a
18 long-acting opioid product to the market back in 2010,
19 followed by market withdrawal of that non-abuse
20 deterrent, long-acting opioid product counterpart.
21 And then followed by, I think in 2014, we progressed
22 to the first public meeting where we actually brought

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

158

1 the scientific issues to the table, and started to
2 share information from both the generic and the
3 innovative perspective, and move on to --

4 I'm sorry, before we go I want to -- before
5 the meeting, I think there was the approval of a
6 labeling for one of the products that actually gave
7 some specific information on -- and some specific
8 language in the drug abuse independence section, which
9 described results of data from in vitro and in vivo
10 abuse potential studies.

11 And then followed by that, we had the public
12 meeting in 2014 where we were able to share different
13 industry perspectives on where we felt we needed to go
14 in order to sort of standardize the data requirements,
15 or the body of data needed to approve these products.

16 2015, I think we saw the issuance of a final
17 guidance from a branded perspective. 2016 we saw the
18 issuance of a draft guidance for the generic industry
19 to provide the Agency's current thinking on evaluation
20 of abuse deterrent generic drug products. And so that
21 brings us to where we are today, the second public
22 meeting.

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

159

1 So, as you can see, I think we've made
2 considerable progress toward achieving the goal of
3 getting to the point where we have a generic product
4 approved. We have some standards. We have some
5 guidance. We have a better understanding of the body
6 of data.

7 Yesterday we heard from payers that the
8 introduction of a generic equivalent would have a very
9 positive impact on the cost of these products that are
10 needed to meet the medical needs with regard to pain
11 alleviation in the relevant patient population. So
12 this is something that's -- would have a positive
13 impact when we're able to bring these products to the
14 market.

15 So my perspective now is from the generic
16 industry's perspective. We are at the gate with
17 regard to the process of having these final
18 discussions with regard to how we can achieve the
19 goal. Our goal, again, is generic product approval
20 for this category of products.

21 So as we consider the technical requirements
22 that the Agency has identified for evaluation of

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

160

1 generic ADF products, I see the requirements in two
2 separate and distinct product development phases. The
3 first phase being where the generic manufacturer will
4 collect data on characterization of the reference
5 listed drug with the regard to the potential for abuse
6 for all routes. And that's what the guidance kind of
7 does for us, it gives us different ways to look, from
8 a technical perspective, different methodologies to
9 look at potential abuse of the product that we're
10 developing with regard to all routes, regardless as to
11 what's in the approved labeling. And that's
12 appropriate from a development perspective.

13 The goal here is that we want to look at the
14 RLD for all routes, and then we want to achieve the
15 goal to confirm that the generic product does not
16 present any opportunities for abuse outside of those
17 potential routes that are identified in the labeling.
18 So that's what directs the generic process, the
19 generic development process, as we move toward that
20 sameness criteria for equivalence.

21 And as the product development nears
22 completion, data is generated that the generic product

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

161

1 will have the same abuse deterrent characteristics as
2 RLD, and will not present any unintended consequences
3 for abuse outside of the approved labeling. If we use
4 the tools that we have that the Agency has provided,
5 if we see that we are presenting, it's going to change
6 the path of the product development. Again, we're
7 generic. We want to be similar. We want to be
8 comparable, okay.

9 So the next phase of development proceeds
10 with the goal of actually achieving the design
11 characteristics that will render the generic product
12 equivalent with regard to the route of abuse
13 identified in the actual labeling. We're actually now
14 looking toward moving to product approval.

15 Non-inferiority in abuse deterrent
16 characteristics can then be evaluated based on
17 comparative analysis with regard to potential abuse in
18 the specific routes again that are identified in the
19 RLD labeling. The use of standardized testing during
20 this second phase of product development would go a
21 long way to providing the generic manufacturer with a
22 definitive tool and goalpost to provide the

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

162

1 appropriate comparative analysis, or non-inferiority
2 analysis, which will allow us to achieve FDA approval.

3 So I'm in support of the technologies and
4 the standardization of the technologies, at least from
5 a comparative perspective. And I've heard from a few
6 brands, so they may not serve the same value because
7 of new technologies and things that come about, but at
8 least it serves for the generic industry as a basis
9 for comparison, which is, you know that's the nature
10 of our business.

11 That being said, I wish to support the
12 position put forth by the generic industry working
13 group and state that I hope the Agency can use this
14 input to actually come to some final conclusions on
15 this draft guidance, with the goal of actually being
16 able to move forward with approval of products for, in
17 this category for the generics.

18 I further support finalization of the draft
19 guidance and standardization of testing modalities to
20 this end. I believe that the guidance serves as a
21 basis for development of generic ADF products, and
22 that it should be further augmented by product

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

163

1 specific guidances so that the relevant information
2 can be used to facilitate generic development. And
3 this could possibly fill some of the gaps -- if we
4 were able to use these product specific guidances,
5 could potentially fill gaps that would be identified
6 by progress that's made with various new technologies
7 coming aboard.

8 So, for me, a wonderful ending to a
9 wonderful story, a wonderful journey, would be to
10 realize the approval of a generic abuse deterrent
11 product. And that we know that, looking at this
12 journey, it's been at least five years that
13 applications have been pending, have been sitting.
14 You know, the ability to move forward and actually get
15 a product approved would be a wonderful ending to this
16 story. Thank you.

17 DR. LOSTRITTO: Thank you, Candace. Edward
18 Cone?

19 MR. CONE: Good afternoon. My name is
20 Edward Cone, and I'd like to thank the FDA for
21 allowing me to comment. I'm an employee of Pinney
22 Associates, and Pinney Associates provides consulting

Capital Reporting Company
Public Meeting - November 1, 2016

164

1 services to the pharmaceutical industry in a variety
2 of areas, including evaluation of abuse deterrent
3 formulations. And I hope Pinney Associates is going
4 to pay for my time and expenses for attending the
5 meeting.

6 The first of three issues I'd like to
7 comment on. One of the questions is, how far can the
8 FDA go in standardizing in vitro testing of a generic
9 ADF? And my comment is that testing of a generic
10 opioid ADF against an existing innovator ADF, referred
11 to as the RLD, requires an intimate knowledge of the
12 ADF features of the RLD. The primary information on
13 the ADF properties of the RLD is contained in this
14 label, 9.2 abuse section. And there may be some other
15 sources about the RLD in public documents and
16 literature on the abuse deterrence of the product.

17 While this information, taken together,
18 identifies the route of abuse in which the RLD has
19 demonstrated ADF properties, it does not specify the
20 test necessary for distinguishing the RLD from a
21 non-ADF product. Testing of the generic product
22 begins with identification of those discriminatory

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

165

1 tests by which evaluation of the RLD compared to a
2 control non-ADF product starts. Then only those
3 discriminatory tests that specify by the generic
4 guidance are applied to the test product.

5 This approach standardizes the conditions to
6 be tested, but may overlook other ways of
7 manipulation, and may cause failure of the test
8 product. Certainly the number of tests on a generic
9 product will be greatly reduced, but will this be at
10 the risk of potentially allowing products to be
11 approved that are vulnerable to conditions outside
12 those specified?

13 The unique properties of current RLDs seem
14 to belie standardization of test conditions for
15 generic products. While I'm certainly in favor of
16 standardization to some extent, I'm skeptical of
17 Category 1 tests that do not include a discovery phase
18 that incorporates test conditions outside those
19 identified by the guidance. Without a comprehensive
20 discovery phase, vulnerabilities may be overlooked.
21 And I guess it's kind of like looking for the Loch
22 Ness monster a little bit. If you don't look, you

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

166

1 don't know whether it's there or not.

2 A second issue I'd like to comment on is
3 what are the performance criteria that demonstrate
4 equivalency of a generic ADF to an existing ADF?
5 Currently a statistical approach is proposed by the
6 FDA as criteria of equivalency of a generic ADF to an
7 existing ADF. This seemingly logical approach may
8 allow inferior products to meet criteria and
9 equivalent products to fail.

10 This is because there's inherent variability
11 in physical and chemical manipulations that attempt to
12 simulate abuse practices when tests are conducted with
13 a small number of replicates. This inherent
14 variability will be difficult to manage with rigid
15 statistical criteria. And it may place an unusual
16 burden on generic developers who may have to resort to
17 use of considerable replicate tests to meet
18 statistical criteria.

19 A third issue that we have spent a little
20 bit of time talking about but haven't gotten too far
21 in development, is the issue of assessing ease of
22 manipulation. And secondly a question posed was, how

**Capital Reporting Company
Public Meeting - November 1, 2016**

167

1 can performance attributes measured by in vitro
2 testing be quantified and linked to their impact on
3 abuse deterrence in the community?

4 This is a difficult question, and it
5 involves both the development of instruments that
6 measure a subjective concept, commonly referred to as
7 ease of manipulation. And then linking those measures
8 to the abuse deterrent outcomes in the real world.
9 Such measures of ease of manipulation must be
10 developed and standardized. And already there's been
11 some progress made in that area.

12 Several instruments have been developed to
13 measure work requirements. As my colleague, Dr. Jack
14 Henningfield mentioned yesterday, we've tapped into
15 the science of behavioral economics. An example is
16 the ALERT instrument, which is a series of visual
17 analogue scales. This instrument has been used to
18 evaluate the degree of effort involved in physical
19 manipulation of innovator opioid ADFs. These scales,
20 applied by trained laboratory technicians under
21 standardized conditions, identified major differences
22 in the degree of effort needed to physically

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

168

1 manipulate hardened ADF products compared to non-ADF
2 products.

3 And this type of instrument allows a great
4 deal of flexibility. Typically in the design of it,
5 we would take the test ADF product, which is an
6 extended release product in this case, compare it to
7 an existing ADF extending product, and add additional
8 comparators, like an existing, if available, non-ADF
9 extended release product, and an IR non-ADF product.
10 And the beauty of it is, you can do the study in a
11 week.

12 Thus far this approach has been promising,
13 but additional scales are also needed for evaluation
14 of chemical manipulations. However, linking the
15 results of in vitro laboratory valuations will be the
16 next challenge, and will take years, if ever, to
17 accomplish.

18 In conclusion, I support the efforts of the
19 FDA to transition from non-ADF opioids to ADF opioids.
20 We've seen the success of these products in reducing
21 abuse results and rates in the community, and in
22 reducing adverse outcomes. There remains considerable

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

169

1 work to do in refining Category 1 methods, and we
2 appreciate the commitment and cooperation of the FDA
3 that they've shown in guiding this program further
4 towards success. Thank you.

5 DR. LOSTRITTO: Thank you. Robert Bianci?

6 MR. BIANCI: Good afternoon, I'm Bob Bianci
7 from the Prescription Drug Research Center. We also
8 provide consulting services to the industry. I have
9 no financial conflict of interest to disclose.

10 I retired from the Drug Enforcement
11 Administration as a Director of the Special Testing
12 and Research Laboratory, which where I believe the
13 first in vitro testing was done more than 16 years ago
14 on an amphetamine product that never made it to
15 market.

16 So it's kind of been a topic of interest,
17 and since I've retired I have an opportunity to
18 explore this, and it's been a great experience working
19 with the pharmaceutical industry and the FDA. And
20 certainly I thank the FDA for giving us this
21 opportunity to exchange ideas. We're not always in
22 agreement, but at least they're listening.

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

170

1 The basis was to provide a completely
2 transparent process, however things are changing. The
3 technology is changing in developing these products,
4 as well as the laboratory testing that's being
5 utilized these days. You know, delivery platforms are
6 different, but those differences must be considered in
7 development of protocols, but there are also some
8 similarities, which brings us to standardization.

9 And we all know that the abuse deterrent
10 formulations will generally only discourage the casual
11 abusers. There will always be somebody that is going
12 to challenge, but the top of the bell curve are those
13 casual users, and we're going to reach most of them
14 with these abuse deterrent formulations. It's similar
15 to putting a lock on your door at home. If a burglar
16 wants to get in, he's going to get in. But for the
17 casual criminal, he's going to go to your neighbor's
18 house. So it's a part of the process that you go to
19 something else.

20 There is no abuse proof product on the
21 market. And I say with emphasis, yet I think it will
22 be here, but it's not here yet. And one of the

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

171

1 concerns that has not been addressed is the
2 consumption of multiple doses. There's nothing to
3 stop anybody from taking several doses. But I think
4 that's going to change as time goes by.

5 So, the FDA has tried to take an adaptive
6 approach, which is very refreshing. But they're also
7 trying to completely characterize these products. And
8 this has involved a great deal of lab work, that in
9 some cases may seem to be unnecessary. But they're
10 collecting data and that data is going to be the
11 foundation of what we do in the future.

12 All the modes of abuse need to be considered
13 in developing these protocols. And I think that the
14 amount of effort, as Ed Cone said, is something else
15 that needs to be measured as well. We know that if
16 it's too difficult, they're not going to do it.

17 And the FDA wants us to produce protocols
18 that are both reproducible and statistically valid,
19 but also represent the real world. I like to use the
20 term kitchen chemistry, or what are they going to be
21 doing at home, and we need to address them thoroughly.
22 And of course, one of the ways to do that is research

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

172

1 on the Internet, and I'll talk about that later.

2 Dose dumping has become an issue that is
3 something that we will need to be concerned about.
4 And certainly the FDA has been pretty directive in
5 suggesting that alcohol, varied concentrations be
6 used. And finally, we don't want to provide a roadmap
7 for abuse. And I think that's apparent from some of
8 the labeling restrictions so that people can't pick it
9 up. If it says don't be chewed, they're going to try
10 chewing it because that's a way to defeat it.

11 The people we're dealing with are not, in
12 most cases, very well educated in the area of
13 chemistry, but they're very clever. Without any
14 technical training, they can figure out ways that we
15 never thought of in trying to abuse these substances.
16 But in most cases they're following a recipe that came
17 from a friend, off the Internet, and they follow it
18 blindly.

19 They all have very limited resources and
20 there's been no evidence that there's any organized
21 crime family trying to do this. Most of them are
22 doing it for their own use. And the concept of trying

**Capital Reporting Company
Public Meeting - November 1, 2016**

173

1 to extract an opioid and concentrate it and sell it on
2 the street is really not worthy of considerations.

3 In many cases, the abuser is desperate. So
4 dropping a couple of tablets in a container of water
5 and coming back the next day is not a practical
6 option. And the hardened users, the trained chemists,
7 look at abuse deterrent formulations as an
8 intellectual challenge. And if you read some of the
9 postings online, you will see they're trying to stick
10 it to the establishment, to the DEA, the FDA, by
11 trying to defeat whatever they've developed, and
12 they've been doing this for decades with controlled
13 substances where they just modify the molecule so that
14 it's not controlled, but still has those abusable
15 properties.

16 I mentioned briefly the Internet. If you're
17 in this business and you haven't viewed the Internet,
18 you need to do that. There are many, many sites, and
19 as soon as you access one, you'll be turned on to many
20 others. But Blue Light seems to be the one that is
21 most popular and very current. And that's where we
22 need to get some information about what's going on.

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

174

1 For the scientists in the laboratory,
2 whether it's a development laboratory or a quality
3 control laboratory, are really not into this kitchen
4 chemistry stuff. So they need to get an education.
5 They need to figure out what those people are doing.
6 What are abusers willing to do? How much effort are
7 they going to put into it? But still maintain the
8 scientific principles of reproducibility and
9 statistical validity.

10 And of course, one thing we haven't
11 mentioned is developing these tests. It needs to be
12 done in a safe manner. So you don't want to expose
13 the lab staff to any procedures that an abuser might
14 do that could be hazardous to the staff or the
15 facility.

16 Over the past 13 years I've developed a
17 number of protocols for a variety of products, with
18 different abuse deterrent features. And I did that in
19 conjunction with National Medical Services Laboratory
20 where we tweaked the procedures to satisfy the
21 requirements of the FDA.

22 So in conclusion, I have to say that the FDA

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

175

1 has collected a lot of data over the last 18 months.
2 And I'd like to see them share that by way of some of
3 the standardization that we've been talking about for
4 the past two days, so that each project doesn't become
5 a research project. And I'm talking about fundamental
6 things, like you know the solvents that are going to
7 be selected, the particle size reduction we've talked
8 about over and over again. How many time points do
9 you have to utilize to make an effective evaluation?
10 So I think all of those things can come out of what
11 the FDA already has in their file.

12 The criteria, once they're established, I
13 think are going to make the FDA's job a little bit
14 simpler. This is no simple task to compare these
15 products and to determine if it does have any abuse
16 resistant properties. But it's also going to make it
17 clearer for the sponsors, whether it's RLD or people
18 that are coming into the generic market, of what is
19 expected. And it's difficult to work in an
20 environment when somebody is saying, well, we'll know
21 I when we see it, just give us everything you've got.
22 And that's what we've been doing all along.

**Capital Reporting Company
Public Meeting - November 1, 2016**

176

1 So FDA, I request that you do share the data
2 and you do produce some level of standardization with
3 the flexibility for the different platforms that are
4 being created. Thank you.

5 DR. LOSTRITTO: Thank you. Beatrice
6 Setnick?

7 MS. SETNIK: Thank you and good afternoon.
8 My name is Beatrice Setnik. I'm the VP of Scientific
9 Affairs at INC Research. In my role, I consult with
10 various pharmaceutical and biotech companies. I would
11 like to thank the FDA for the opportunity to share my
12 thoughts this afternoon on this topic and also to
13 commend them for putting together the guidance. It's
14 a very good starting point for, as we can see over the
15 past two days, a very complex and challenging
16 conundrum in terms of establishing equivalency between
17 generics and innovator products.

18 There are a few things that I wanted to
19 share with you in terms of some comments to the
20 guidance; some of them that have been raised over the
21 past two days. And one of them is really the
22 different in vitro approaches to different ADF

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

177

1 technologies and it's been said by several of the
2 speakers that the different types of technologies,
3 whether you have a physical barrier, an
4 agonist/antagonist combination, a prodrug or some of
5 the emerging technologies such as overdose, addressing
6 overdose or excess consumption, all do require and
7 have different objectives in terms of their in vitro
8 testing.

9 That is one of the areas that I think would
10 benefit the guidance in terms of delineating just the
11 differences between the in vitro approaches to the
12 different technologies. I think that would be a good
13 ground work.

14 Doctor Edward Cone and I had published a
15 communication piece that was written actually before
16 the guidance came out, but published shortly
17 thereafter, and we had put together a table that looks
18 at the different types of technologies and the
19 different in vitro approaches that one takes and the
20 testing that one takes. That could be used as a good
21 reference to start to further develop that schematic
22 of how the in vitro tests can differ between different

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

178

1 technologies.

2 I think once we lay the groundwork for the
3 innovators, it'll be that much easier then to lay the
4 foundations for how to bridge the generics to the
5 innovators.

6 Another theme that has really come across
7 these past two days is just the level of variability
8 that can be introduced by just simply the
9 manufacturing process. And this really has resonated
10 with me, because if there are differences in
11 variations in the manufacturing processes that could
12 lead to different behavioral characteristics of the
13 ADF, we are facing a situation where it is likely that
14 on the in vitro testing panels, the generic and the
15 innovator may look quite different, depending on the
16 extent of variability in the process and how those
17 characteristics behave in the laboratory environment.

18 In which case, I think it would be
19 appropriate for the guidance to position itself to the
20 possibility that clinical testing may be needed if
21 there is a considerable amount of variation between
22 the in vitro testing of generic and reference listed

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

179

1 drug. In which case, it would help to describe
2 efficiencies in clinical testing. Certainly combining
3 clinical tests that evaluate pharmacodynamic and
4 pharmacokinetic endpoints can certainly be combined,
5 streamlining the amount of pharmacodynamic measures in
6 the abuse liability or the pharmacodynamic component
7 can be easily conceived as well in for a generic
8 product.

9 And one of the other issues to also think
10 about in the clinical paradigm is the statistical
11 approaches that one might take between a generic and a
12 reference listed drug. So do the regular statistics
13 apply that are referenced in the innovator products or
14 do we look at these more as bioequivalencing testing
15 in terms of pharmacodynamic measures. So those are
16 some of the things that we may want to think about if
17 the products do advance into a clinical setting.

18 I also echoed some of the earlier speakers
19 in terms of the comments around the particle size
20 distribution and the 500 micrometer cutoff point.
21 From a clinical perspective, we have seen insufflation
22 of much higher particle sizes. So I think that

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

180

1 depending on if a particle size is reached greater
2 than 500 microns, certainly there may be variability
3 in how much product then becomes bioavailable from
4 larger particle sizes, but that could be variable with
5 the types of products. So I think rather than setting
6 limits, these types of cutoffs need to be more data
7 driven and they may end up being more product specific
8 in the end.

9 And one last comment that I wanted to make,
10 specifically refers to the agonist/antagonist
11 combinations or generics thereof. When taken intact,
12 there are situations in patients where the antagonist
13 may be bioavailable in small amounts, even though it
14 may be sequestered, and this outcome may be the same
15 in the generics.

16 So testing not only the manipulation
17 methods, but understanding in a clinical setting what
18 level of exposure of a sequestered naltrexone or an
19 antagonist may be, is also an important endpoint
20 that's likely going to be more evident in a clinical
21 setting, rather than in an in vitro setting, as that
22 particular exposure may have an influence on the

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

181

1 ultimate efficacy of the product.

2 And those were essentially my points. So
3 thank you very much for allowing me to share those.

4 DR. LOSTRITTO: Thank you. Has Pamela
5 Osborne come into the room? Pamela? Okay, that was
6 the last of the public comments. So the public
7 comment period is now concluded, and we'll no longer
8 take comments from the audience.

9 **Panel Discussion: Future Directions that Will Enable**
10 **the Efficient Development and Evaluation of Abuse**
11 **Deterrence of Opioids**

12 So before we move to the panelists, I want
13 to just say a word or two about the folks who helped
14 prepare this meeting that we've been involved with it
15 seems like for months now, planning, preparing and so
16 forth.

17 So I'm going to ask a few folks who are the
18 unsung heroes who have worked very hard here to please
19 stand up. And wait until I'm done calling all their
20 names and we'll give them a round of applause.
21 Michelle Eby. Trang Tran, if you're here. Trang.
22 Gail Schmerfeld, in the back of the room there. Thank

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

182

1 you. Chris Andre (ph). Thank you, Chris. And Amina
2 Russell (ph), you can stand if you want, even though
3 you've got the boot on, you could stay seated. So I
4 just want to say thank you very much for organizing a
5 great meeting. Thank you.

6 Now we're going to turn our attention to the
7 panelists. And before we go through the designated
8 questions and comments in the booklet, all the
9 speakers are here, and there's a few folks who hadn't
10 spoken, so I'd just like them to give a 10 second
11 introduction, starting with you, Karsten. And then we
12 can skip the folks who already talked, because we
13 heard your introductions and then we'll hit the
14 discussion questions.

15 DR. LINDHARDT: I'm Karsten Lindhardt. I'm
16 the head of R&D for Egalet and heading up our Category
17 1 work for Egalet.

18 DR. THROCKMORTON: Doug Throckmorton. I'm
19 the Deputy Director for Regulatory Programs at the
20 Center for Drugs, FDA.

21 MR. RAULERSON: Patrick Raulerson. I am
22 Regulatory Counsel at CDER's Office of Regulatory

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

183

1 Policy, and I work on regulation policy issues
2 surrounding abuse deterrent opioids.

3 DR. LIONBERGER: I'm Rob Lionberger. I'm
4 the Director of the Office of Research and Standards
5 in the Office of Generic Drugs at CDER.

6 DR. TOLLIVER: I'm James Tolliver. I'm a
7 Pharmacologist with the Controlled Substance Staff at
8 FDA's CDER.

9 DR. YARASANI: My name is Venkatarama
10 Yarasani. I'm the Executive Director from Teva
11 Pharmaceuticals (inaudible).

12 DR. HERTZ: Sharon Hertz.

13 DR. LOSTRITTO: Okay. So what I will do, is
14 I will read the topic for discussion and then we'll
15 just see what happens.

16 So the first topic is, what technical and
17 quantitative issues should FDA consider as it develops
18 guidance to recommend standardization of in vitro
19 testing to evaluate the abuse deterrence of opioid
20 drug product formulations through various routes of
21 abuse, including: ingestion, insufflation, injection
22 and smoking. For example, what should FDA consider

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

184

1 with respect to mechanical manipulations, equipment,
2 amount of time, effort, chemical manipulations, EG
3 solvent, solvent choice and availability, particle
4 size distribution, and volume of solvent used for
5 extraction? Go ahead. Please start.

6 DR. LINDHARDT: Yes, maybe I should say I'm
7 here on behalf of the branded industry. So actually
8 first would like to make some comments that relates
9 into this topic. And we really have a common interest
10 in improving standardization of Category 1 studies.
11 And we also started in November of 2015 a Category 1
12 focus group with the entire industry and
13 representatives from academia and FDA, to discuss
14 standardization of Category 1 work.

15 So I think it's a very important topic, and
16 I think it's great meeting here and discussing that.
17 And especially I want to acknowledge the work that's
18 been going on in the FDA of trying to build in-house
19 understanding of Category 1 testing.

20 And as we heard yesterday, both from Steve
21 Hoag and Xiaoming Xu, and also today with Rik
22 Lostritto and Cindy Buhse, that the material

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

185

1 properties, it's really how it interacts with both the
2 manufacturing and the formulation of the process,
3 really showing the complexity of the Cat 1 work. And
4 that small changes in material can really make a big
5 difference to what we see.

6 So I've been working with this area and
7 trying to work with standardization in about nine
8 years , and I almost feel sorry for Bob Bianchi and Ed
9 Cone, who's been working for 30 years with this area.
10 Because it's really tough, and it's really complex,
11 that may -- and obviously I'm not really answering the
12 question here.

13 And I think the answer is really that the
14 FDA should really consider the complexity, should get
15 some in-house understanding of what is needed, and
16 have a product specific, material specific, or really
17 understanding of the properties. Because that's
18 really the only way to really get that full
19 understanding that would enable us to really do proper
20 standardization.

21 DR. LOSTRITTO: Thank you. I think I'll
22 exercise some moderator privilege here. And as we go

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

186

1 down the line, folks who didn't have the opportunity
2 to make a formal presentation, I'll give some
3 deference to. So, Doug, Patrick, do you have
4 something you want to add? Or anybody else who
5 hasn't --

6 DR. THROCKMORTON: Can I ask what you meant,
7 product specific guidance I understand; material
8 specific, can you just sort of give an example of what
9 you're thinking there?

10 DR. LINDHARDT: Yes. No, I was more
11 relating to what we heard about, the kind of material
12 properties and how manufacturing, or changes in
13 manufacturing process has -- I think a good example of
14 that was really we asked the FDA, you know, what do
15 you actually mean when you say final (inaudible)
16 formulation, or final to be (inaudible) [marketed]
17 product.

18 And what do we need to test in our Category
19 1? And the answer to that was really quite
20 appropriate, that we needed to use final to be
21 (inaudible) product. And the reason for that, which I
22 find evenly appropriate, was that there could be

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

187

1 differences in manufacturing process that could
2 potentially impact the properties in Cat 1. And
3 that's of course what we have done and followed. And
4 I think that makes a whole lot of sense, but it's
5 actually also supported by a lot of the evidence that
6 we have now that that's really an appropriate
7 approach.

8 DR. LOSTRITTO: Anybody else?

9 DR. YARASANI: Yes.

10 DR. LOSTRITTO: Please.

11 DR. YARASANI: Okay. The generic industry
12 believes that FDA has a lot of information about abuse
13 deterrent products from several NDAs that were
14 approved so far and those that are under review with
15 the Agency. The generic industry needs help from the
16 agency with respect to the acceptable requirements for
17 (inaudible) products that are relevant and reasonable
18 for a given technology or product. And they should
19 consider providing some standards that are reasonable
20 across different technologies or platforms for a given
21 route of abuse.

22 The generic industry appreciates if the FDA

**Capital Reporting Company
Public Meeting - November 1, 2016**

188

1 could communicate the information through some product
2 specific or technology specific guidance. I think
3 that is the theme that is resonating through since
4 yesterday.

5 With respect to mechanical manipulations
6 that were mentioned in the question 1. I think FDA
7 should consider the tools that are used to manipulate
8 the product because I think we saw one slide this
9 morning, Elisabeth was presenting, with variation in
10 the make and model of the coffee mill and the time of
11 exposure and all those things would result in
12 different particle size. That particle size
13 difference would result in different outcomes of the
14 individuals tests that are going to conduct.

15 And then another factor that should be
16 considered for mechanical manipulation is the, as
17 (inaudible) FDA talking about the time and energy and
18 also the knowledge, I think also another aspect that
19 was brought up today, but time and energy is
20 definitely a variable that also could impact the
21 outcome of these studies. And the sample weight, I
22 think that is another important parameter also to be

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

189

1 considered for the mechanical manipulations.

2 For chemical manipulations, the solubility
3 of the API is one of the important parameters that
4 should be considered. Because this in turn defines
5 the selection of the solvent, as well as the volume of
6 solvent that requires to do these studies.

7 Other parameters of importance are the
8 particle size, as we mentioned before, of the samples
9 that are used for these manipulations, or for testing,
10 chemical testing. Time of exposure, temperature, or
11 the agitation, there is a component of agitation
12 conduct in these studies. These are some of the
13 parameters that would impact the outcome of these
14 studies.

15 FDA should also consider setting up a
16 pre-ANDA meeting with sponsors of generic products to
17 discuss about our understanding of the RLD and agree
18 upon acceptable (ph) data package for generic
19 products. We were happy to hear yesterday that
20 (inaudible) is having the (inaudible) for a pre-ANDA
21 meetings. But I'm not sure when this is going to be
22 effective, but I'm guessing it will be at least a year

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

190

1 before we see the (inaudible).

2 And some (inaudible) mentioned about the
3 existing ANDAs that are there. Probably FDA should
4 consider having those kind of pre-ANDA meetings with
5 the sponsors in the interim to discuss about where
6 they are with respect to their submission and what is
7 expected for further progress with the review of those
8 applications pending with the Agency.

9 DR. LOSTRITTO: So, in terms of -- just a
10 couple things real quick. In terms of pre-NDA, or
11 NDA, that could happen any time. It's already an
12 existing path of discussion. There's always
13 controlled correspondence for ANDAs as well.

14 But in terms of sticking to the question, I
15 also think the approach we take is going to have
16 involve some of these basic manipulations that we were
17 talking about that the household abuser is going to
18 have at their disposal.

19 But I also think some more rigorous
20 scientific study behind the scenes is needed to
21 understand using things like instrument analysis and
22 different types of viscoelastic analyses to point the

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

191

1 way to which form of manipulation, shear or
2 compression and so forth, is going to likely be the
3 most fruitful for a given type of product.

4 So I think to blindly do it empirically, and
5 just have a laundry list of things from wire cutters,
6 to mortar and pestles, to coffee mills, is not going
7 to be a satisfactory scientific approach in the long
8 run. You have to understand the fundamental
9 physical/chemical mechanisms that are involved if
10 you're going to have any effect.

11 Towards chemical manipulation, we have to
12 remember that unless it's a prodrug, if it's a salt,
13 salt forms can be defeated by simple manipulation of
14 pH, and a simple biphasic extraction. So we have to
15 again think holistically about the whole
16 physical/chemical milieu, and then distill that down,
17 pardon the pun, into something that can be put into
18 categories appropriate for various types of
19 approaches. And I think, Rob, you wanted to add
20 something?

21 DR. LIONBERGER: Like this is a question to
22 the industry representatives on the panel about the

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

192

1 question 1 here in terms of what we should
2 standardize. So let me just put out this in a way to
3 talk about it. Should we standardize a coffee
4 grinder? Or, I mean, should we move toward saying how
5 you should do something like milling. And maybe you
6 can discuss the tension between going to a
7 standardized condition and then being more relevant to
8 what someone who's abusing this product with things
9 they might find at home, you know what's the balance
10 between those two in terms of the standardization?

11 Also from your experience, I mean do you run
12 through -- did you buy coffee grinders in bulk and run
13 them out? Like what do you -- if you're involved in
14 significant development activities in this, what do
15 you do to ensure the reproducibility of some of these
16 tests or manipulations?

17 DR. LINDHARDT: Yes, we definitely buy
18 several coffee grinders. And we also buy different
19 coffee grinders and work with that and optimize that
20 part. So yes, I think it's a really good question.
21 It's a really tough question because one of the things
22 that was (inaudible) in the Category 1 focus group

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

193

1 meeting last time was standardizing a hammer. Because
2 a simple thing as a hammer, how hard do you blow or
3 what's the surface you're hitting on? So there is a
4 lot of elements to it.

5 And what you would probably end up with,
6 even if you tried to standardize a coffee grinder, is
7 that each material would behave differently, and one
8 coffee grinder may not be the optimal one for one
9 tablet, whereas it would be better for another tablet.
10 So even if you did optimize, then it may not be kind
11 of the most appropriate test of that particular drug.

12 DR. LOSTRITTO: That's the point I was
13 trying to make.

14 DR. LINDHARDT: Yes.

15 DR. LOSTRITTO: And by the way, there is a
16 standardization for a hammer. Other industries have
17 this. The auto industry and other industries that are
18 worried about product robustness.

19 DR. LINDHARDT: Yes.

20 DR. LOSTRITTO: And it's as simple as using
21 a pendulum approach. The physics are extremely
22 reproducible. But that aside, before everyone runs

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

194

1 out and gets all kinds of coffee mills going,
2 understanding the fundamental rheological behavior in
3 terms of stress and strength, and relaxation, and
4 plastic and elastic deformation, this is going to
5 point to the way of what types of approaches are going
6 to work best. Then from there it could be a matter of
7 fine tuning which type of mill is going to optimize
8 the destruction of the system.

9 DR. LINDHARDT: No, I totally agree. And
10 therefore I would also like to acknowledge what Sharon
11 said before the meeting that, you know, this is not
12 about abandoning kind of the iterative approach, it's
13 about to have a set of studies and then we can kind of
14 work from there. And I think that's also what you're
15 discussing, is that we're getting some basic knowledge
16 from these standard tests, but then we may need to go
17 into a second iteration. Right.

18 DR. YARASANI: Yes, again the different
19 mills gives different outcomes of a test that is
20 performed. And what we are saying is that the generic
21 industry, we do realize that when you're conducting
22 these studies, manipulation, mechanical manipulation,

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

195

1 if you use one particular make and model of the mill,
2 or the design of the blade, (inaudible) the blade
3 design itself has different outcomes.

4 So if you just leave it open, and the time
5 that is required to manipulate these products, it
6 results in different way of interpretations. And when
7 you have ANDA products, you will see data that is not
8 generated the way the Agency wanted to and expect
9 consistent performance among generic products. There
10 should be (inaudible) again. I think somebody
11 mentioned most (inaudible) about five out of seven
12 products approval are physical/chemical barrier based.

13 So there should be a lot of information out
14 there with the Agency, as well as applications that
15 are under review. Probably combining that data
16 probably gives some kind of a general standards, or
17 acceptable tools and time so that the generic industry
18 could explore around that area rather than just
19 keeping it open, quite (ph) open and everybody tries
20 their own way and comes back with their own
21 justification and would never go where it wanted to
22 go.

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

196

1 DR. LOSTRITTO: If there's any further input
2 on this discussion topic.

3 DR. LINDHARDT: Maybe one small point. I
4 think the guidance that we already have from the FDA
5 has been very helpful, right. The volumes that we
6 already have with the 2, 5, 10 milliliter, and that
7 type of guidance is extremely helpful and we could
8 definitely, you know more of that is great, as long as
9 it's justified. But so, that's been very helpful.
10 There's not been a lot of that in the branded
11 guidance, and you know that could be something that
12 could be improved there.

13 DR. LOSTRITTO: Yes, please. Go ahead,
14 James.

15 DR. TOLLIVER: Yes, I certainly see a point
16 for trying to standardize, for example, the
17 physical/chemical tools. But I think it's already
18 been said, but I can think of it from experience
19 because I put a lot of these applications, and so I
20 have a good idea of what standard tools would be.

21 But what catches my mind is that on at least
22 two occasions, possibly more, I looked at applications

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

197

1 and sure enough they did the standard tools, but then
2 they went a step further, and what they actually used,
3 because keep in mind that for innovator products,
4 we're really interested in trying to get those tools
5 that provide the best computation of the product. And
6 what surprised me is that they came up with tools that
7 I'd never heard of, okay. So I was familiar with all
8 these regular tools that were used, but when it came
9 to doing or preparing to do the human abuse potential
10 study, they used a tool that I never thought of.

11 But take it a step further, not only did
12 they use that tool, but they were able to show that it
13 was on the Internet. That it was on the Internet. So
14 it's kind of like, yes, you can standardize the tools
15 and it would be good to look at those and see what
16 they do, but you always have to keep open the
17 possibility that there may be others that might be
18 particularly effective. Because these two tools that
19 were used, when you look at the particle distribution,
20 they were better than the standard tools that were
21 used. And at the same time they were on the Internet.

22 So you think of standardization, but you

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

198

1 also have to keep that door open and realize that
2 sometimes you may have to go outside of that, where it
3 would be good to look at other outside of the
4 standardized.

5 DR. LOSTRITTO: Part of the conundrum, and
6 it's probably obvious to everybody here, maybe it
7 doesn't even need to be stated, is that we're trying
8 to write guidance in sort of the opposite point of
9 view from when we usually write a guidance. We write
10 a guidance because there's an expectation. The
11 industry or whoever the audience is, is intending to
12 follow the guidance to try and get some sort of
13 regulatory pathway towards success.

14 Here it's the opposite. We're trying to
15 write a guidance, and we know as soon as it hits the
16 public view, that they're going to try to obviate it
17 and get around it. And that every attempt will be
18 made to circumvent it from those who -- from that
19 certain sector of the audience who is going to be
20 reading it to try and figure out what to do next. So
21 it's a very difficult conundrum, but that's what we're
22 stuck with.

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

199

1 All right, the second question. How can FDA
2 standardize in vitro testing to help substantiate
3 appropriate and consistent product manufacture that
4 assures abuse deterrence at release and through a
5 product shelf life?

6 And I'll just kick this off by saying we
7 heard some very good comments today from public
8 comments, and from Q&A session and so forth. And some
9 of the things that came out would be something like a
10 surrogate, or a sentinel I believe somebody used, a
11 sentinel surrogate test, that could serve as an
12 indicator that your abuse deterrent formulations are
13 still abuse deterrent. And as Dr. Throckmorton put
14 out, you know had me clarify, we're not talking about
15 an exhaustive battery every time you need a stability
16 time point.

17 So with that backdrop, I'll open it up to
18 folks on the panel. Please, Karsten?

19 DR. LINDHARDT: So I think first of all, and
20 I think that was also mentioned earlier today is
21 really understanding your technology and understanding
22 your formulation. And also understand your critical

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

200

1 quality attributes and being able to justify that. So
2 I think it's not much different than anything else we
3 do when we kind of characterize our product and shelf
4 life, is that we of course need to provide the
5 justification for the critical quality attributes of
6 the product.

7 So that's really, you would say, a job for
8 the industry to provide that evidence to the FDA in
9 your review. And you know, that will be really
10 product specific what that means. But I think that's
11 the only way.

12 DR. THROCKMORTON: Yes, I get to ask
13 questions, I don't get to -- absolutely out of my
14 league, the technical stuff. It's been suggested that
15 people form groups, industry, FDA, groups of various
16 kinds. And I'm looking at you but anybody, are we
17 thinking ICH, PQRI? Give me -- I'm trying to think of
18 what format that kind of group might take.

19 DR. LOSTRITTO: There is a precedent. I
20 mean I was thinking about the same thing too. So in
21 another area of the industry where I worked, in
22 aerosols, that industry got together and formed their

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

201

1 own International Pharmaceutical Aerosol Consortium
2 for research and for discussion of topics of common
3 chemical and manufacturing interests.

4 So and it's not PQR or ICH, but it's
5 possible for the industry to do that among themselves
6 and to create a forum where they can share their data
7 in various ways so that you can expand a knowledge
8 base, protect your proprietary interests, and so
9 forth. I've seen that happen before in other sectors
10 of just regular industry, and the (inaudible) too.

11 Yes, yes. Anyone else have any -- please go ahead.

12 DR. YARSANI: For sure. Thank you. I think
13 the generic industry working group kind of are in the
14 same line as the FDA, some of the FDA speakers' point
15 of view and also the brand working group point of
16 view, with respect to conducting these studies, and
17 the release and the shelf life of the product. That
18 means by having a connection between, that we generate
19 good development (ph) and identifying some tests that
20 could be used as surrogates for ensuring the abuse
21 deterrent characteristics of the product, the release
22 and as well with the shelf life of the product.

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

202

1 So in a former way, what we want to present,
2 respond to this question is, the generic product
3 sponsor demonstrated a significant formulation and
4 process understanding of their (inaudible) product
5 during development. And that data is submitted in the
6 ANDA to the Agency. This knowledge would enable us to
7 identify some of the standard tests that could be used
8 to ensure abuse deterrent characteristics during
9 release and shelf life of the product.

10 This includes, for example, drug release
11 from your polymer-based AD product, low volume
12 viscosity of product in bio comparable solvents for
13 gelling type AD product. For the technology of the AD
14 product also provides for some standardized testing
15 that could be used to ensure consistent manufacture of
16 AD products. For example, SAF (ph) antagonist for
17 agonist to antagonist type products, ISL (ph) for the
18 (inaudible) agent, the hardness of a (inaudible)
19 product.

20 That data demonstrating any characteristics
21 of the generic product and some of the standard tests
22 that are adequate, some standards are adequate to

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

203

1 ensure consistent manufacture of AD products. So the
2 generic industry is of the opinion that there is no
3 need to include in the QC testing the extensive
4 battery of AD tests that are conducted during
5 development.

6 DR. LOSTRITTO: Any other discussion or
7 comment? Okay, we could go to the next -- I have to
8 go to the next. All right. Topic 3. How can
9 performance attributes measured by in vitro testing be
10 quantified and linked to their impact on abuse
11 deterrents? For example, discuss what amount of time
12 delay in defeating an abuse deterrent property should
13 be considered significant and the basis for the
14 recommendation?

15 I'm going to let somebody else kick this one
16 off if they want to.

17 DR. LINDHARDT: I actually have a question
18 back on this one because I was not 100% clear, with
19 abuse deterrence, does it mean real world abuse
20 deterrence or does it mean abuse deterrence as it
21 relates to the Category 1, 2 and 3 testing?

22 DR. LOSTRITTO: I think when we use the

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

204

1 phrase "impact on abuse deterrence", we're talking
2 about in the field. And Sharon, I think that's the
3 term we pretty much use when we discuss it.

4 DR. LINDHARDT: Real world, right?

5 DR. LOSTRITTO: Yes.

6 DR. LINDHARDT: So there's been some studies
7 that are showing how much time an abuser would
8 typically use to -- or there's been a study I think of
9 (inaudible) and made some studies on that. And I
10 think the outcome of that was about 15 minutes. But I
11 think looking at that, this is a dynamic space. This
12 will not be a static kind of number. As more abuse
13 deterrent products comes to the market, that may
14 change.

15 And so I think that kind of gives a
16 challenge to all of us to kind of be dynamic in our
17 relation to this entire area. And also when we do our
18 studies, that at this point we should probably not
19 just limit our testing to what is just -- what an
20 abuser would do to kind of go through the 15 minutes,
21 but really try to really -- and I actually see abuse
22 deterrent studies as more characterizing the abuse

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

205

1 deterrent properties as it's really showing how abuse
2 deterrent it is.

3 So to say from a real world perspective,
4 because you don't know how it's going to be abused in
5 the end, real world, so it's really to provide as much
6 data to characterize the formulation from an abuse
7 deterrence perspective.

8 DR. LOSTRITTO: I think the -- and I'm just
9 musing so I'll probably get in trouble with both ends
10 of the table here, Sharon and Doug. But this really
11 is kind of an inside out sort of human factors
12 analysis, if you think about it. Again, like a
13 guidance is meant to elicit compliance, human factors
14 usually involves people trying to use the thing
15 properly. But this seems like it could be a subset of
16 a human factors approach towards that by linking the
17 in vitro testing to how that might relate to abuse,
18 might involve the human factors expertise.

19 DR. THROCKMORTON: It's a great question. I
20 agree, it's quite fluid in the way you said it. Look,
21 human abuse potential study, and you know others can
22 correct me when I get this wrong, but it's close to

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

206

1 human pharmacology. It's asking, does the
2 pharmacology predict a measurable surrogate of risk
3 for abuse? In this case it's human liking, visual
4 analogue scale of human liking. It's just that's the
5 test, right.

6 We're making an inference based on that
7 outcome, that liking scale result about whether or not
8 the product under the conditions that it was tested
9 under is liked by, in this case, a set of individuals
10 recovering from substance use disorder. And making a
11 link between that to risks in the real world about,
12 for abuse, understanding that there are a million
13 other things in addition to that pharmacology that
14 would influence the choices that those abusers make in
15 the real world. Other things related to, you know,
16 where they are in terms of their socioeconomic
17 structure, their choices that their cohort makes, all
18 of those things.

19 But using the visual analogue scale liking
20 is our way of deciding whether or not the product,
21 under those conditions as tested, has this
22 pharmacology, has the properties. Other things may

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

207

1 mitigate that, and that's what you're talking about.
2 You're talking about I think in those more real world
3 social preferences or something like that. That's
4 where human factors, I would typically put it. So
5 it's after the pharmacology has been understood
6 through human abuse liability, tested through what we
7 would call human factors or preference testing, or you
8 know whatever the right grouping is.

9 So you're right, they're linked but they're
10 I think looking at slightly different things.

11 DR. HERTZ: So, just for the record. It's
12 the recreational, the non-dependent recreational
13 abusers that we enroll for some of these studies. And
14 we're continuing to learn as we have more and more
15 experience coming through with these products. Even
16 since the publication of the innovator guidance, we're
17 continuing to learn.

18 We've borrowed a lot of what we use for this
19 type of assessment from other fields. So we're
20 looking at understanding product performance, and we
21 start off with how we characterize the performance of
22 the product based on non-abuse deterrent products.

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

208

1 And then we're borrowing from that to see how we can
2 stress and strain these formulations to look at these
3 other considerations for trying to defeat the product
4 for the purpose of a particular route of abuse.

5 Similarly we've learned from our products
6 that even in the clinical studies, while it's
7 important to know whether or not the drug will be
8 liked, what we're really finding out is how much do
9 they want, how important is it, or how likely is it
10 for that person to want to use that drug again. The
11 take [the] drug again outcome is one that seems to be
12 distinguishing itself as particularly important.
13 Because once you're able to get the opioid out,
14 there's going to be a degree of liking. So how do we
15 give that liking context? So we're learning more in
16 borrowing these human abuse liability studies from the
17 original use, which was in establishing abuse
18 potential.

19 And I'm just -- so going back to the
20 physical/chemical, which a lot of this manipulation
21 discussion pertains to. We continue to learn, and
22 that's why it's so challenging. That's why we need to

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

209

1 have as much input as we can. That's where the need
2 for research is, to a large extent, to understand how
3 these different relationships can be identified. For
4 instance, is the relationship between particle size
5 and positive pharmacodynamic outcomes linear? We
6 actually have some data that suggests in some
7 situations it may not be, because particle size and
8 the other properties of the product may create a
9 different performance characteristic.

10 How much does the delay factor count in
11 terms of willingness to take the drug again? You
12 know, so these are all things that we're learning
13 constantly. And we're trying to get as much input as
14 we can, with these meetings, we're going to advisory
15 committee where we discuss these as much as we can.

16 So I think for the physical/chemical type of
17 deterrence, it's going to be very challenging for a
18 little while longer for us to learn enough to be able
19 to potentially streamline the amount of testing
20 necessary. So right now the concepts of stressing the
21 formulation, seeing what it takes to defeat it, seeing
22 how that's going to compare between the new generic

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

210

1 and the existing innovator, is going to be a bit of a
2 learning process. And I think that the degree to
3 which the excipients in the generic differ from the
4 innovator is going to be a very interesting part of
5 that learning process.

6 And when we get to some of the other areas
7 though, I think it will be a little bit potentially
8 different areas to learn from. So for instance, with
9 the antagonists, is in vitro enough? What is the
10 performance of the product after it's been manipulated
11 for abuse in terms of the availability, the
12 bioavailability of the antagonist?

13 Are excipients that are, in that context,
14 intended to provide extended-release characteristics
15 relevant for understanding if there's an effect on the
16 pharmacodynamic outcomes?

17 So there's pieces to learn here, and I think
18 that as we get more and more information about these
19 different products, about the different behavior
20 characteristics, about the results of different types
21 of testing, we'll be able to develop more and more
22 guidance and require potentially less extensive

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

211

1 testing.

2 For the aversive products, we're still
3 waiting for some advances there, because it's a
4 challenge, it seems, to be aversive only to the
5 unintended population. So once we get some more
6 information there, we'll be able to, again, increase
7 our learning with that group.

8 The prodrugs, as discussed, you've heard a
9 little bit, are going to be another area of learning
10 as we grow in understanding of what it takes to
11 potentially defeat that type of product. And then we
12 are going to be even more -- have another opportunity
13 for learning, another level, when we start to see
14 these different methods and technologies combined,
15 right?

16 So there's layer upon layer here where we
17 are going to have to follow the innovation and the
18 creativity there, and then learn enough to be able to
19 translate and understand how to facilitate, to the
20 extent we can, other product development, other
21 product -- generic products, or just understand how to
22 reduce the burden overall of the development of these

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

212

1 products with regard to the amount of testing.

2 DR. TOLLIVER: I think question 3 is one
3 that's been around for a very long time, and I'm
4 thinking of it from the standpoint of how much time
5 for manipulation of a product and so forth. To me,
6 it's a question that I don't know how to get around it
7 or anything, because I keep thinking that is has to
8 do -- we have to think in terms of who are we
9 impacting? Who are these individuals who are trying
10 to manipulate it?

11 And I find it difficult to say that the only
12 population that we might be trying to impact is the --
13 what one speaker has called "the desperate
14 population". In other words, that you picture them
15 trembling to prepare that next dose and so forth. I
16 understand that that can happen with heroin abuse and
17 so forth, but how about the teenager that on a Friday
18 night is rumbling through the cabinet and finding some
19 pharmaceutical pills. He only does it on a -- you
20 only do it on a Friday night or on a weekend or
21 something. It's not continuous abuse. The casual
22 abuser in other words.

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

213

1 How might that be different and how much
2 time would they be willing to spend to manipulate a
3 product versus someone who is really, I mean, very
4 much dependent and is trying desperately to starve off
5 withdrawal and so forth, and so they need to fix that
6 next dose as quickly as possible.

7 I don't know where that difference stands
8 and to what extent. And so I don't like to always
9 think of us having to look at our in vitro studies
10 from the standpoint of trying to get them done as
11 quickly as possible and negating the fact that there
12 might be a group of people who are actually willing
13 and have the time and so forth, to spend more time to
14 try to manipulate the product. I don't know where --
15 how that -- what the impact of that is.

16 DR. LINDHARDT: Yeah, I think that's a
17 really good question. I think there will be a
18 difference. I think there's still a lot of need for
19 research in that area to really understand that. But
20 there's definitely also a clear difference, you know,
21 if a young person have found some tablets and put it
22 in the coffee grinder, and then their mommy's coffee

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

214

1 grinder is then broke, because you have a very hard
2 tablet as compared to other tablets out there.

3 So there's a lot of elements to that, that
4 goes beyond just the time. There's the -- what's the
5 impact on the tooling and so I think a more sort of
6 complex research on the behavior of these different
7 types of abuser population would be extremely helpful.

8 DR. YARASANI: Yeah, I, as the generic
9 industry, I think as rightly pointed out by one of the
10 panel members, it's (inaudible) got a straight answer.
11 And we just got into this kind of development. So we,
12 as a generic industry, we understand that the generic
13 products should be no less abused different than the
14 (inaudible) product. This includes the time and
15 effort in defeating (ph) the products. And believe
16 that, we also know that if we do the testing with the
17 same rigor, the generic part should be no less abused
18 than the brand product.

19 Of course, again, as Sharon mentioned, that
20 the agency is also in the process of getting more
21 data, more information, and coming up with some kind
22 of guidance (inaudible) this kind of (inaudible). But

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

215

1 as generic industry, we need help from the agency by
2 outlining testing required and the acceptable criteria
3 with the reasonable ranges for different testing
4 outcomes. I think at this point as well, we are with
5 respect to this particular question.

6 DR. LOSTRITTO: I just want to respond
7 quickly to something James said; it's a very
8 interesting point. But I'm not so sure that the
9 casual abuser is really at low risk, because if
10 they're opiate naïve and they manage to defeat two or
11 three MR tablets, or if they're taking it with
12 alcohol, doing something other than a more experienced
13 abuser might know not to do, I'm not so sure they're
14 at less risk necessarily.

15 DR. TOLLIVER: Less risk of what?

16 DR. LOSTRITTO: Compared to the dedicated or
17 serious abuser. I'm not sure that they're at less
18 risk.

19 DR. TOLLIVER: Yeah, I'm not necessarily
20 talking about at risk. I'm talking about their
21 willingness to still go forward with additional time,
22 whatever it takes, in order to prepare the drug if

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

216

1 they want to use it. That's what I'm really getting
2 at.

3 MR. RAULERSON: Yeah, I think Dr. Tolliver
4 raised a really interesting point. The way I'm
5 thinking about it is that we potentially get a bigger
6 bang for our buck if we can stop that sort of gateway
7 abuse, the teenager stealing pills.

8 An earlier presentation today about Safe
9 Lock, of course any desperate abuser would just deal
10 with the entire container and break it open. But the
11 policy benefit of stopping a hardcore abuser from
12 abusing a pharmaceutical is very -- look, because they
13 are going to find an illegal alternative. Whereas the
14 policy benefit of stopping a recreational casual
15 abuser who has not initiated, is potentially much more
16 significant. I think that we should definitely keep
17 that in mind, that we really want the market to, at
18 the very least, stop -- make that form of abuse much
19 more difficult.

20 DR. LOSTRITTO: All right. If there's no
21 more comment, we'll move on to the next question. How
22 can FDA build flexibility into standardized testing so

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

217

1 that it may be suitable for application to emerging
2 technologies? Are there any specific emerging
3 technologies that might require new types of testing?

4 I'll just kick that off by saying you know
5 we -- it's part of our responsibility to search out
6 and learn about new technologies. Some of it comes to
7 us, some of it we seek out and learn through our own
8 reading. And one way to address that in the guidance
9 is that as new technology emerges, that its evaluation
10 has to be pointed at its mechanism, whatever that
11 particular new mechanism might be.

12 And that sounds overwhelming general, but
13 that statement, in and of itself, starts pointing the
14 way for new technologies, some of which we know about,
15 like I said through our own public domain information
16 and some we can't comment on, but I'll kick it off
17 with that and see what others want to say.

18 DR. YARASANI: Yeah, as the term emerging
19 technology indicates, they are emerging, they're not
20 there yet. Some of them are maybe proprietary to some
21 of the brand companies and the agency may have some of
22 those emerging technologies with them.

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

218

1 And as the generic industry, we are willing
2 to do whatever it takes to comply with the public
3 safety expectations of how this will be (inaudible).
4 As you know, as such the (inaudible) products are
5 complex and that (inaudible) for the industry and
6 these technologies are evolving within the last few
7 years, and it is natural to expect that new area
8 technologies would emerge in the near future.

9 But the generic industry will use good
10 science to develop these emerging technologies best to
11 any products, but we need, of course, we need help
12 from FDA. With priorities and clarity around
13 expectations for these products, the generic industry
14 will be able to more successful in making affordable
15 quality generic area products available to the
16 patients.

17 This calls for an ongoing collaborative
18 interactions among FDA, generic industry, and other
19 potential stakeholders. Any potential gaps we would
20 see between the new technology and the current
21 guidance, could be addressed through, probably as you
22 mentioned, maybe a technology specific guidance or a

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

219

1 platform specific guidance, part (ph) specific
2 guidance. I think that is the generic industry's kind
3 of approach to this question.

4 DR. LINDHARDT: Yeah, no, I agree to the
5 collaboration. I agree to product specific guidance
6 of some of these, but I think there's need for
7 flexibility also in a non-emerging technology. I
8 think the flexibility is really in the way we are
9 testing these products, because the material
10 properties are so different that we would need to have
11 room for flexibility whether it's emerging or not.
12 Emerging technologies, also technologies we don't know
13 yet, right, so it should cover all of that, but I
14 think that also means that we should build in -- there
15 should be flexibility in all the test protocols that
16 we make, or at least the second iteration stuff.

17 DR. LOSTRITTO: Any other comments to this
18 particular question? All right. I think that's all
19 the specific questions that we have, and I guess we
20 should move towards closing it out, but I have a few
21 comments I'd like to make before we close it out.

22 I want to thank everybody for their

Capital Reporting Company
Public Meeting - November 1, 2016

220

1 participation, not just on the speakers and the panel.
2 You want to say something, Doug? Okay. Not just the
3 folks who spoke, we're very grateful for, but also the
4 public comments and the Q&A session, and just your
5 active participation in the audience and some of the
6 hallway questions and so forth.

7 You know as I said earlier today, we -- I
8 came to this meeting with a clean sheet of paper about
9 moving to the next guidance, and I really appreciate
10 some of the feedback I got in some of the areas on
11 controls and stability and what sorts of information
12 we might look at for correlation, flexibility, new
13 technologies, and so forth. Seeing your concern and
14 interest in how to do that was very useful.

15 So I want to thank you all for that very
16 much, and Doug if you have a few comments now. Thank
17 you for letting me finish.

18 DR. THROCKMORTON: Yeah, thanks Rik, and
19 thanks to Rob and thanks to everybody that worked so
20 hard to organize this. Thanks to everyone that came
21 over these last two days. So I've been collecting
22 descriptions of what you guys are all about.

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

221

1 Let's see. Everyone agrees it's important.
2 And there's no humor in that. Everyone agrees this is
3 an important issue. Interesting journey. Challenging
4 conundrum. Conundrum actually shows up in more than
5 one person's description.

6 And someone called it a Loch Ness monster.
7 I'm not exactly sure who that was or under what
8 circumstances. Anyway, that was -- so lots of
9 interesting descriptions of the task that you have
10 before you, which is obviously to sort of balance the
11 need for scientific assessment to support appropriate
12 decision making, and the interest in supporting
13 predictable product development, both generic and
14 innovator.

15 I'd say the meta-theme I'm hearing is the
16 sort of tension between standardization and
17 individualization, and it played out in one way or the
18 other in pretty much all of the discussions that have
19 occurred in the last two days.

20 The other tension, I'll just acknowledge,
21 because I think it's pretty evident, is the
22 differences between the brand name and generics

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

**Capital Reporting Company
Public Meeting - November 1, 2016**

222

1 industry here. And I recognize those are not polar or
2 diametric or anything like that, but there is this --
3 that is another tension that we're all going to have
4 to acknowledge in trying to find a way to work through
5 and so I feel like it's worthwhile saying.

6 People have said many things. One size
7 cannot fit all. Essential to provide a roadmap to the
8 development. But we all recognize there are
9 challenges. So we all recognize the challenge of this
10 being in an early stage of scientific assessment.
11 This is a manufacturing science that's new, and so
12 there's a lot we don't know yet.

13 Observations have been made that small
14 changes in apparently the same formulations, can
15 apparently have large effects in terms of product
16 performance. Well, those are the sorts of things that
17 you'd like to understand better if you're going to try
18 to provide standardized information and
19 recommendations.

20 Observations that there are a lot of
21 important things we'd like to know and understand
22 better and one of the things we just talked about.

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

223

1 This relationship between pharmacokinetics, between
2 exposure to drug, and risk for abuse, whether it's
3 risk in the form of assessed as a liking study or risk
4 in the form of real world impact. We need to know
5 that relationship better than we do at present.

6 We needed to know it -- we signaled that
7 when we put out the brand name draft guidance, now
8 whatever four or five years ago, and it's still
9 something that we need to know better. Dr. Dayno
10 talked about the organoleptic nature of abuse
11 deterrent formulations testing, by which he meant that
12 there are other sensory things that impact the
13 assessment of these products that make them
14 particularly challenging. It isn't a matter of simply
15 measuring an exposure, an amount of something. I did
16 have to look up organoleptic. Maybe others knew what
17 that meant.

18 Assessing the effort used to abuse a product
19 has come up in several contexts and people have
20 pointed out that that's not a thing that we've
21 typically tried to measure when we assess or compare
22 products, and identifying what's an acceptable failure

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

224

1 rate. We all know that products, by their nature of
2 being manufactured, fail at some rate. The question
3 is what's an acceptable rate from a social or
4 scientific perspective.

5 Solutions have been pretty varied, I would
6 say. Many of them reinforced the value of the
7 guidance, but then go on to make some suggested
8 amendments to us that we'll take into account. People
9 talk about the need to broaden it beyond the crush
10 resistant and extraction resistant technologies. The
11 need to talk about the impact on manipulated products
12 as well.

13 And the one unanimity, you all called for
14 product specific guidances, both industries I should
15 say. Although it wasn't exactly clear you were
16 talking about the same content in those documents.
17 That's at least a place to start, and I would say is
18 the one suggestion that I think we should all leave
19 with, which is you should be working together, to the
20 extent that you can. To the extent this meeting
21 that -- this group that people have suggested can be
22 put together and found a way to be constituted

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

225

1 appropriately in all of that. Finding a way to talk
2 past the challenges and finding proposals to give to
3 us.

4 You know obviously if you all came up with a
5 single approach that you thought would suit the best
6 purposes of product development here, we'd be
7 absolutely delighted to see that and take it very
8 seriously. It would move the field a great deal.
9 That requires sort of careful collaboration and
10 things, but those are the kinds of things that have
11 been materially successful in other settings. I'm
12 thinking of drug-eluting stents and other places that
13 I've seen where similar challenges have come up and
14 industry's been able to pull together and come up with
15 suggestions that we've really been able to make use
16 of.

17 So I'll close just by thanking you all for
18 being as open as you have been. I hope nothing that
19 I -- you take nothing that I said as being critical,
20 because saying what you think, making the suggestions
21 to the extent you have, is absolutely essential for us
22 to decide what needs to happen next. Really

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

226

1 appreciate that. Appreciate the groups that came
2 together and answered the questions that we posed.
3 That's really helpful for us, even if those answers
4 are not in sync with one another, it's really useful
5 for us to understand where you all are coming from.

6 Look forward to having additional
7 conversations. Appreciate all of your help in
8 everything, everyone's participated. And I hope
9 everyone has a safe trip home.

10 DR. LOSTRITTO: A couple of very quick
11 housekeeping points. Please remember to take all your
12 personal stuff with you, because it all goes up on
13 sale to eBay after you leave, if you leave it here.
14 And remember that the docket to receive comments
15 relating to the issues discussed at this meeting will
16 be open until December 1st of this year. Thank you
17 very much.

18 (Whereupon, the meeting was adjourned.)

19

20

21

22

**Capital Reporting Company
Public Meeting - November 1, 2016**

227

1 CERTIFICATE OF NOTARY PUBLIC

2 I, SAMUEL HONIG, the officer before whom the
3 foregoing proceeding was taken, do hereby certify that
4 the proceedings were recorded by me and thereafter
5 reduced to typewriting under my direction; that said
6 proceedings are a true and accurate record to the best
7 of my knowledge, skills, and ability; that I am
8 neither counsel for, related to, nor employed by any
9 of the parties to the action in which this was taken;
10 and, further, that I am not a relative or employee of
11 any counsel or attorney employed by the parties
12 hereto, nor financially or otherwise interested in the
13 outcome of this action.

14

15

16



17

18

SAMUEL HONIG

19

Notary Public in and for the

20

State of Maryland

21

22

(202) 857 - 3376

www.CapitalReportingCompany.com © 2016

Capital Reporting Company
Public Meeting - November 1, 2016

228

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

CERTIFICATE OF TRANSCRIBER

I, CINDY MCALLISTER, do hereby certify that this transcript was prepared from audio to the best of my ability.

I am neither counsel for, related to, nor employed by any of the parties to this action, nor financially or otherwise interested in the outcome of this action.



November 11, 2016

DATE

Cindy McAllister