

UNITED STATES OF AMERICA
 DEPARTMENT OF HEALTH AND HUMAN SERVICES
 FOOD AND DRUG ADMINISTRATION

+ + +

CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

+ + +

150TH MEETING OF THE VACCINES AND RELATED BIOLOGICAL PRODUCTS
 ADVISORY COMMITTEE

+ + +

November 7, 2017
 8:30 a.m.

FDA White Oak Campus
 Building 31, Great Room
 10903 New Hampshire Avenue
 Silver Spring, MD 20993

KATHRYN EDWARDS, M.D.	Chair
JANET ENGLUND, M.D.	Voting Member
HANA EL SAHLY, M.D.	Voting Member
KAREN KOTLOFF, M.D.	Voting Member
OFER LEVY, M.D., Ph.D.	Voting Member
RUTH LYNFIELD, M.D.	Voting Member
SARAH LONG, M.D.	Voting Member
PAMELA McINNES, D.D.S., M.Sc. (Dent)	Voting Member
ARNOLD MONTO, M.D.	Voting Member
MELINDA WHARTON, M.D., M.P.H.	Voting Member
EUGENE BLACKSTONE, M.D.	Temporary Non-Voting Member
DEAN FOLLMANN, Ph.D.	Temporary Non-Voting Member
DAVID STEPHENS, M.D.	Temporary Non-Voting Member
KARIN BOK, M.S., Ph.D.	Temporary Non-Voting Member
JOHN S. KIRKPATRICK, M.D.	Temporary Non-Voting Member
DAVID GREENBERG, M.D.	Industry Representative
SHELDON V. TOUBMAN, J.D.	Consumer Representative

This transcript appears as received from the commercial transcribing service after inclusion of minor corrections to typographical and factual errors recommended by the DFO.

Free State Reporting, Inc.
 1378 Cape St. Claire Road
 Annapolis, MD 21409
 (410) 974-0947

FDA ADMINISTRATIVE STAFF

CAPT SERINA HUNTER-THOMAS, M.S.A., RN
Designated Federal Officer
Vaccines and Related Biological Products Advisory Committee
Division of Scientific Advisors & Consultants
Center for Biologics Evaluation and Research

ROSANNA HARVEY
Committee Management Specialist
Vaccines and Related Biological Products Advisory Committee
Division of Scientific Advisors & Consultants
Center for Biologics Evaluation and Research

CASEY STEWART
Committee Management Officer
Vaccines and Related Biological Products Advisory Committee
Division of Scientific Advisors & Consultants
Center for Biologics Evaluation and Research

FDA SPEAKERS/PARTICIPANTS

MARION GRUBER, Ph.D.
Director, Office of Vaccines Research and Review
Center for Biologics Evaluation and Research

JEFF ROBERTS, M.D.
Chief, Clinical Review Branch 1
Division of Vaccines and Related Product Applications
Office of Vaccines Research and Review
Center for Biologics Evaluation and Research

TINA K. MONGEAU, M.D., M.P.H.
Medical Officer
Division of Vaccines and Related Product Applications
Office of Vaccines Research and Review
Center for Biologics Evaluation and Research

SPEAKER AND GUEST SPEAKER

MICHAEL OTTO, Ph.D.
Senior Investigator
Laboratory of Bacteriology
National Institute of Allergy and Infectious Diseases (NIAID)
National Institutes of Health (NIH)

RICHARD A. PROCTOR, M.D.
Professor Emeritus of Medical Microbiology and Immunology
University of Wisconsin School of Medicine and Public Health

SPONSOR SPEAKERS AND ADVISORS

BILL GRUBER, M.D., FAAP, FIDSA
Senior Vice President
Vaccine Clinical Research & Development
Pfizer, Inc.

THOMAS ERRICO, M.D.
Professor of Orthopedic Surgery and Neurosurgery
NYU School of Medicine

JAVAD PARVIZI, M.D., FRCS
James Edwards Professor of Orthopedic Surgery
Sidney Kimmel School of Medicine
Rothman Institute at Thomas Jefferson University

ALEJANDRA GURTMAN, M.D.
Vice President
Vaccine Clinical Research and Development
Pfizer, Inc.

MARK SHIRTLIFF, Ph.D.
Department of Microbial Pathogenesis
University of Maryland School of Dentistry

WILLIAM RICHARDSON, M.D.
Duke University

ANNALIESA ANDERSON, Ph.D., FAAM
Vice President and Chief Scientific Officer
Bacterial Vaccine Research & Development
Pfizer, Inc.

DAVID RADLEY, Ph.D.
Senior Director
Vaccine Clinical Research & Development
Pfizer, Inc.

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

KATHRIN JANSEN, Ph.D.
Senior Vice President, Vaccine Research & Development
Pfizer, Inc.

INDEX

	PAGE
Call to Order - Kathryn Edwards, M.D.	7
Introduction of Committee	7
Administrative Announcements and Conflict of Interest Statement - CAPT Serina Hunter-Thomas, M.S.A., RN	10
FDA Presentation: Clinical Development of Pfizer's Investigational <i>Staphylococcus aureus</i> Vaccine for Prevention of Postoperative Infection in Elective Orthopedic Surgeries - Jeff Roberts, M.D.	15
Scientific Considerations: Pathogenesis of <i>Staphylococcus aureus</i> Infection - Michael Otto, Ph.D.	22
Q&A	42
Clinical Considerations: Postoperative Staphylococcal Infection, Disease Manifestations, and Therapeutic Options - Richard A. Proctor, M.D.	46
Q&A	60
Sponsor Presentations	
Introduction - Bill Gruber, M.D., FAAP, FIDSA	73
Spinal Surgery and Infection - Thomas Errico, M.D.	74
Q&A	80
Orthopedic Infections: Challenging Problem - Javad Parvizi, M.D., FRCS	81
Q&A	91
Proposed Plan and Rationale to Support an SA4Ag Indication in Elective Orthopedic Surgery - Bill Gruber, M.D., FAAP, FIDSA	104
Q&A	125

Open Public Hearing (No speakers)

156

INDEX

	PAGE
FDA Presentation: Considerations for Pfizer's Investigational <i>Staphylococcus aureus</i> Vaccine Intended for Pre-Surgical Prophylaxis in Elective Orthopedic Surgical Populations - Tina K. Mongeau, M.D., M.P.H.	157
Q&A	169
Committee Discussion	
Topic 1	193
Topic 2	221
Adjourn Meeting	229

M E E T I N G

(8:33 a.m.)

1
2
3 DR. EDWARDS: Good morning, my name is Kathryn Edwards.
4 I'm from Vanderbilt, and I'm the Chair of VRBPAC and would like
5 to welcome all of you to the meeting and call this meeting to
6 order.

7 I would like to go around the table and have everyone
8 introduce themselves. We have two people that are on the
9 phones, but they also have places here at the table. So when I
10 call their name, Pam and Ofer, if you could just tell us a few
11 words about yourself, that would be terrific. So let's begin
12 with the FDA.

13 DR. MONGEAU: Good morning, my name is Tina Mongeau. I'm
14 a clinical reviewer with the Office of Vaccines at FDA.

15 DR. ROBERTS: My name is Jeff Roberts. I'm one of the
16 Clinical Branch Chiefs in the Office of Vaccines.

17 DR. M. GRUBER: My name is Marion Gruber. I'm the
18 Director of the Office of Vaccines.

19 DR. EDWARDS: Dr. McInnes, would you like to introduce
20 yourself?

21 DR. McINNES: Good morning. I'm Pamela McInnes, Deputy
22 Director of the National Center for Advancing Translational
23 Sciences, which is one of the NIH institutes. Thank you.

24 DR. EDWARDS: Sarah.

25 DR. LONG: I'm Sarah Long, Professor of Pediatrics at

1 Drexel University College of Medicine and Chief of Infectious
2 Diseases at St. Christopher's Hospital for Children in
3 Philadelphia. I'm interested in vaccine-preventable diseases
4 and last year saw 800 patients with staphylococcal infection.

5 DR. EDWARDS: Dr. Lynfield.

6 DR. LYNFIELD: Ruth Lynfield. I'm the state
7 epidemiologist and medical director at the Minnesota Department
8 of Health.

9 DR. EDWARDS: Dr. Monto, would you like to tell us about
10 yourself?

11 DR. MONTO: I'm Arnold Monto. I'm Professor of
12 Epidemiology at the University of Michigan School of Public
13 Health and have been involved in various clinical trials from
14 time to time.

15 DR. EDWARDS: From time to time.

16 Dr. Levy. Ofer, would you like to tell us -- say a few
17 words?

18 DR. LEVY: Sure. I'm Ofer Levy. I'm a physician-
19 scientist, a pediatric infectious disease consultant, and I
20 direct something called a precision vaccines program where we
21 model human immunity outside the body to accelerate vaccine
22 development. I'm at Boston Children's Hospital and Harvard
23 Medical School.

24 DR. EDWARDS: Thank you.

25 Dr. Kotloff isn't here, but she'll introduce herself when

1 she gets here.

2 Hana.

3 DR. EL SAHLY: Hi. Hana El Sahly, Associate Professor of
4 Infectious Diseases at Baylor College of Medicine and a
5 principal investigator of the vaccine treatment and evaluation
6 unit.

7 DR. ENGLUND: I'm Janet Englund, Professor of Pediatrics
8 at the University of Washington in Seattle and do transplant
9 infectious diseases and infectious diseases at Seattle
10 Children's Hospital.

11 CAPT HUNTER-THOMAS: Good morning, my name is Serina
12 Hunter-Thomas, and I'm the Designated Federal Officer for this
13 Committee. Thank you.

14 DR. EDWARDS: Melinda.

15 DR. WHARTON: Melinda Wharton. I'm an adult infectious
16 disease specialist. I've worked in the U.S. immunization
17 program through CDC for many years and am currently Acting
18 Director of the National Vaccine Program Office.

19 DR. BLACKSTONE: I'm Gene Blackstone, Head of Clinical
20 Investigations for Heart and Vascular Institute, Cleveland
21 Clinic. Also, I'm currently chair of the Multi-Site Clinical
22 Trials, NHLBI.

23 DR. BOK: Good morning, I'm Karin Bok. I'm representing
24 the National Vaccine Program Office, and I'm a senior
25 scientist.

1 DR. FOLLMANN: Hi, I'm Dean Follmann, Head of
2 Biostatistics at the National Institute of Allergy and
3 Infectious Diseases.

4 DR. KIRKPATRICK: I'm John Kirkpatrick. I'm an orthopedic
5 and spine surgeon from the VA in Orlando, and I'm a Professor
6 of Orthopedics at the University of Central Florida.

7 DR. STEPHENS: Good morning. I'm David Stephens from
8 Emory University, and I'm Professor of Medicine and Infectious
9 Diseases.

10 MR. TOUBMAN: Good morning. I'm Sheldon Toubman, and I'm
11 an attorney at New Haven Legal Assistance Association in New
12 Haven, Connecticut. I'm the Consumer Representative.

13 DR. GREENBERG: Good morning, I'm David Greenberg,
14 Pediatric Infectious Diseases, Adjunct Associate Professor,
15 Children's Hospital of Pittsburgh, University of Pittsburgh,
16 and head of medical at Sanofi Pasteur for North America, and
17 serving as the Industry Representative.

18 DR. EDWARDS: Thank you, everyone, for coming.

19 Captain Hunter-Thomas will now read the administrative
20 announcements and Conflict of Interest Statements.

21 CAPT HUNTER-THOMAS: Thank you, Dr. Edwards. Good morning
22 again, everyone, and welcome to the 150th VRBPAC meeting. My
23 name is Captain Serina Hunter-Thomas. I would like to also
24 thank the Committee Management Specialist for this meeting, who
25 is Ms. Rosanna Harvey, and the Committee Management Officer,

1 who is Ms. Casey Stewart. On behalf of the FDA, the Center for
2 Biologics Evaluation and Research, and VRBPAC, we would like to
3 welcome everyone to this meeting.

4 Today's session has one topic that is open to the public
5 in its entirety. The meeting topic is described in the *Federal*
6 *Register* notice that was published on September 22nd, 2017.

7 The FDA CBER press media representative today is Mr. Paul
8 Richards. Mr. Richards, if you could please stand so that
9 everyone can identify you? And reach out to him as needed.

10 The transcriptionist for today's meeting is Mr. Tom Bowman
11 from Free State.

12 I would like to remind everyone to please check your
13 pagers and your cell phones and also make sure that they are
14 either turned off or in silent mode.

15 When making your comment, please first state your name and
16 speak up so that your comments are accurately recorded for
17 transcription. Please keep in mind that, especially for this
18 meeting, we have two Committee members that are on the line,
19 and we would like to make sure we capture their comments for
20 the record.

21 Finally, I would like to express our sincere thanks to
22 Dr. Janet Englund, Dr. Karen Kotloff, Dr. Sarah Long, Dr. Ruth
23 Lynfield, Dr. Patrick Moore, and Dr. Mark Sawyer. This is the
24 last VRBPAC meeting before your term ends early next year.
25 Thank you for your superior commitment and service to VRBPAC.

1 Your expertise and wise counsel is greatly appreciated. On
2 behalf of FDA and CBER, we thank you.

3 I will now proceed to the Conflict of Interest Statement
4 for this meeting.

5 The Food and Drug Administration is convening today,
6 November 7th, 2017, for the 150th meeting of the Vaccines and
7 Related Biological Products Advisory Committee under the
8 authority of the Federal Advisory Committee Act of 1972.

9 At this meeting, in the open session, the Committee will
10 discuss and make recommendations on the clinical development
11 plan for Pfizer's investigational *Staphylococcus aureus* vaccine
12 intended for pre-surgical prophylaxis in elective surgical
13 populations. This is a particular matter of specific parties.

14 The following information on the status of this Advisory
15 Committee's compliance with federal ethics and conflict of
16 interest laws, but not limited to 18 U.S. Code 208, is being
17 provided to participants at this meeting and to the public.
18 This Conflict of Interest Statement will be available for
19 public viewing at the registration table.

20 With the exception of the Industry Representative, all
21 participants of the Committee are special government employees
22 or regular federal government employees from other agencies and
23 are subject to the federal conflict of interest laws and
24 regulations.

25 Related to the discussions at this meeting, all members

1 and consultants of this Committee have been screened for
2 potential financial conflicts of interest of their own, as well
3 as those imputed to them, including those of their spouse or
4 minor children and, for the purposes of 18 U.S. Code 208, their
5 employers. These interests may include investments;
6 consulting; expert witness testimony; patents, contracts and
7 grants, CRADAs; speaking, teaching, writing; royalties; and
8 primary employment.

9 FDA has determined that all members of this Advisory
10 Committee are in compliance with federal ethics and conflict of
11 interest laws. Under 18 U.S. Code 208, Congress has authorized
12 FDA to grant waivers to special government employees and
13 regular government employees who have financial interests when
14 it is determined that the Agency's need for a particular
15 individual's service outweighs his or her potential conflict of
16 interest.

17 However, based on today's agenda and all financial
18 interests reported by members and consultants, no conflict of
19 interest waivers were issued under 18 U.S. Code 208.

20 Dr. David Greenberg is currently serving as the Industry
21 Representative to this Committee. Dr. Greenberg is employed by
22 Sanofi Pasteur U.S. Industry representatives act on behalf of
23 all related industry and bring general industry perspective to
24 the Committee. Industry representatives are not special
25 government employees and do not vote and do not participate in

1 the closed sessions.

2 Mr. Sheldon Toubman is serving as the Consumer
3 Representative for this meeting. Consumer representatives are
4 special government employees and therefore are screened for
5 their financial conflicts of interest and cleared prior to
6 their participation.

7 Dr. Richard Proctor is a Professor Emeritus at the
8 University of Michigan. He is an expert in *Staphylococcus*
9 *aureus* and internationally known for these achievements.
10 Dr. Proctor is serving as a guest speaker and will make a
11 presentation on postoperative *Staph* infection.

12 At this meeting there may be regulated industry speakers
13 and other outside organization speakers making presentations.
14 These speakers may have financial interests associated with
15 their employer and with other regulated firms. The FDA asks,
16 in the interest of fairness, that they address any current or
17 previous financial involvement with any firm whose product they
18 may wish to comment upon. These individuals were not screened
19 by the FDA for conflicts of interest.

20 FDA encourages all other participants to advise the
21 Committee of any financial relationships that they may have
22 with any firms, its products, and if known, its direct
23 competitors.

24 We would like to remind members, consultants, and
25 participants that if the discussions involve any other products

1 or firms not already on the agenda for which an FDA participant
2 has a personal or imputed financial interest, the participants
3 need to exclude themselves due to such involvement and their
4 exclusion will be noted for the record.

5 This concludes my reading of the Conflict of Interest
6 Statement for the public record, and at this time I would like
7 to hand the meeting back over to Dr. Edwards.

8 Thank you.

9 DR. EDWARDS: Thank you very much.

10 As Captain Hunter-Thomas just articulated, the goal and
11 focus of this meeting is the clinical development of Pfizer's
12 investigational *Staph aureus* vaccine for the prevention of
13 postoperative infection in elective orthopedic surgeries. We
14 will first hear from Dr. Jeff Roberts of the Division of
15 Vaccines and Related Products at the Office of Vaccines
16 Research and Review at FDA.

17 Jeff.

18 DR. ROBERTS: Good morning. Can you hear me okay? Great.
19 Thank you for joining us this morning. I want to thank,
20 particularly, the Committee members, because we recognize that
21 there's a lot of time and effort involved in preparing for this
22 and traveling, and we appreciate your being willing to come and
23 help us think about this. We have for you this morning an
24 interesting and important topic focused on Pfizer's
25 investigational *Staph aureus* vaccine.

1 What I'll start with is to condense down into a very brief
2 overview the topic for the day. The investigational vaccine
3 consists of four *Staph aureus* antigens. You'll hear more later
4 in the day about the antigens and about the dose and the
5 regimen, and you'll hear the vaccine, for the most part,
6 referred to as SA4Ag.

7 Pfizer has already completed early phase studies of this
8 vaccine, and they have already initiated a large clinical
9 endpoint study of the vaccine, and this study, which you
10 sometimes will hear referred to as B3451002 and other times by
11 the acronym Pfizer uses for it, which is STRIVE, this study is
12 a large, randomized, double-blind study. Subjects are
13 randomized either to placebo or vaccine preoperatively before
14 undergoing spinal fusion surgery, and the objective of the
15 trial is to demonstrate prevention of invasive postoperative
16 *Staph aureus* infection.

17 Pfizer's plan, should this trial be successful, is to use
18 this to support a licensure application for this vaccine, and
19 what they've proposed, and what we're here to discuss today, is
20 that these data should be used to support an indication for a
21 broader indication: all elective orthopedic surgery.

22 So the factors to consider when assessing the degree to
23 which safety and efficacy data in spinal surgery, spinal fusion
24 surgery population, could be generalized to all elective
25 surgery, orthopedic surgery, is the question for today.

1 Okay. So to lay the groundwork for this discussion, we
2 will be hearing a lot of details about both the pathogen
3 factors and the host factors involved in infection. And
4 specifically, these are some of the factors that come to mind
5 when you think about the prospects for a vaccine to be
6 efficacious against *Staph*, and they include the fact that
7 roughly 30% of humans are colonized with *Staph aureus* at any
8 given time; that *Staph* has multiple virulence factors and
9 immune evasion strategies; that the phenotype and protein
10 expression profiles vary depending on host factors and site of
11 infection; and that the protective immune response -- the
12 immune mechanisms are incompletely understood, particularly
13 adaptive immune mechanisms.

14 So we'll also spend some time talking about clinical
15 manifestations, and we all recognize that there's a very broad
16 range here, everything from foodborne illness to systemic
17 infection. And that means that there are multiple populations
18 at risk: end-stage renal disease patients, athletes, residents
19 of long-term facility populations.

20 So I think when you think about the expertise that we've
21 convened here on the Committee, one thing that may come to your
22 minds is why are we discussing only elective surgery
23 populations? And I think, later in the day, Pfizer will give
24 you more details about their choice of this population, but I
25 think, suffice it to say for now, that we recognize that this

1 is a serious clinical problem and that it's inadequately
2 addressed by the current preventive interventions, and it
3 remains a major public health issue.

4 The other issues we'll spend time with are the host
5 factors, and these can be mapped largely onto the risk factors
6 generally for postoperative infection, and they include these
7 patient-related risk factors, such as nasal carriage,
8 comorbidities, the age of the population, and the overall
9 health status.

10 And we'll spend a lot of time on the details of the
11 procedure-related risk factors, including the duration of
12 surgery, the implantation of prosthetic material, including the
13 nature of that material itself, the anatomical structures and
14 the tissues involved in these surgeries, and the perioperative
15 care and preventive interventions.

16 So the key issues are going to be the extent of
17 heterogeneity of these risk factors across the different
18 elective surgery, orthopedic surgery populations, and the
19 anticipated impact of specific risk factors on the prospects
20 for efficacy.

21 Another thing we'll do today is to spend some time
22 discerning whatever lessons can be learned from previous *Staph*
23 *aureus* vaccine clinical trials. And I've listed here the two
24 major ones, the vaccine from Nabi, in which it was studied in
25 end-stage renal disease patients in two separate efficacy

1 trials, both of which failed to demonstrate efficacy; and the
2 Merck *Staph* vaccine that underwent a trial in cardiothoracic
3 surgery also failed to demonstrate efficacy. And in this case,
4 the data from this trial illustrated a potential safety signal
5 for more severe infection among the cases of *Staph aureus* in
6 this trial. So we need to dissect and think about those data
7 and whatever lessons can be learned.

8 So I've listed here again these factors that we think are
9 important to understand to address the question of
10 generalizability. And as you think about these factors of
11 pathophysiology, clinical manifestations, risk factors for
12 postoperative invasive infection, one of the things that may
13 come to mind are these are the very same factors that you would
14 want to understand in depth if your task was to design an
15 efficacy trial or to think about what a new vaccine might
16 include to predict efficacy.

17 But I want to emphasize that those are going to be topics
18 potentially for another day, and the reason is we're starting
19 with a fundamental assumption here that the current trial is
20 going to demonstrate efficacy of this vaccine for this specific
21 population. That's the fundamental assumption that is the
22 beginning of the discussion here so that what we hope to
23 understand is how these factors inform this central question of
24 whether these data can be applied more broadly to a broad
25 elective orthopedic surgery population.

1 So the other thing that we thought would be important to
2 do briefly, before we talk about today's agenda, is to talk a
3 little bit about where this vaccine program fits in the overall
4 landscape.

5 So we're seeing vaccine development programs more and more
6 for specific populations. And typically, to date, when we use
7 this term "vaccine," it calls to mind a whole host of specific
8 assumptions about implementation, about public health, even
9 about regulatory decision making. And what we're seeing more
10 and more are that vaccines are being developed to target
11 specific populations and to address specific issues such as
12 antibiotic resistance, and this program falls squarely in that
13 new paradigm.

14 And I think we all need to recognize that this raises
15 particular challenges, and we've listed a few here, and they
16 include the evolution of pathogen virulence in different
17 healthcare settings; the profound effect on the available
18 number of subjects, which has an impact on study feasibility;
19 the issues around benefit-risk and how they can be generalized
20 from one population to another.

21 So we just thought it would be important for everyone to
22 keep in mind all these factors, while at the same time making
23 sure that the discussion is anchored in the science and the
24 data.

25 So those are just -- that's a brief overview for our

1 discussion today. What we specifically will do in terms of
2 hearing presentations, we'll start with Dr. Michael Otto, who
3 is the Chief of the Pathogen Molecular Genetics Section in
4 NIAID, and he'll cover some of the scientific considerations.
5 Then, Dr. Rich Proctor, who is an infectious disease physician
6 at the University of Wisconsin, is going to discuss some of the
7 clinical considerations. And then Dr. Tina Mongeau will cover
8 the FDA's review and consideration.

9 And finally, Pfizer has a series of, I think, three
10 presentations that cover some of the background, particularly
11 the surgical aspects of this, and covers their proposal more
12 specifically.

13 As we delve into this agenda, I want to go through the
14 discussion topics for the Committee so that you can keep these
15 in mind throughout the day. We will put these back up before
16 we begin the discussion, but for now I'm going to read these so
17 that you can have them fresh in your mind throughout the day.

18 So the first discussion topic will be: Assuming that the
19 ongoing study of SA4Ag achieves its pre-specified primary
20 efficacy objective in a population undergoing elective,
21 posterior-approach, instrumented, multilevel spinal fusion
22 surgery, please discuss the reasons why efficacy should or
23 should not be generalized to other elective orthopedic surgical
24 populations.

25 And the other discussion topic we'll have is: Assuming

1 that the ongoing study of SA4Ag demonstrates safety in a
2 population undergoing elective, posterior-approach,
3 instrumented, multilevel spinal fusion surgery, please discuss
4 the reasons why safety should or should not be generalized to
5 other elective orthopedic surgical populations.

6 So I'm going to stop there and invite Dr. Otto, I think,
7 to come to the lectern and present.

8 Thank you.

9 DR. EDWARDS: Are there any questions before, for Jeff?

10 (No response.)

11 DR. EDWARDS: Okay. Michael, go ahead.

12 DR. OTTO: So good morning. I am Michael Otto. I'm in
13 the Laboratory of Bacteriology at the National Institute of
14 Allergy and Infectious Diseases, so I'm a Senior Investigator
15 at NIH, and I'm here to give you a basic science perspective of
16 what we're talking about. My laboratory specializes on
17 staphylococci, so we do a little bit of everything:
18 microbiology, host-pathogen interaction around MRSA,
19 *Staphylococcus aureus* in general, and also other staphylococci.

20 We heard already a little bit from Dr. Roberts that
21 *Staphylococcus aureus* can actually cause a multitude of
22 infections, many, many more different types of infections than
23 the specific infection we are talking about here today. It is
24 particularly well known for being what actually matters or what
25 actually causes a significant degree of mortality and morbidity

1 if you have a lung infection that might be even caused by an
2 underlying flu. So MRSA very often is actually what causes
3 most problems in these infections, and they are very, very
4 common in hospitals.

5 *Staph aureus* is also very well known to cause skin
6 infections. This includes skin infections in people who are
7 not hospitalized; these infections can be community-associated,
8 and I'll come back briefly to that.

9 Usually, they are moderately severe; they're like
10 carbuncles, furuncles, and they don't even undergo antibiotic
11 therapy. Very rarely it has been found, recently, really very
12 rarely, we're talking about a handful of cases, where this can
13 develop into very serious necrotizing fasciitis infections.

14 *Staph aureus* also causes bone infections such as
15 osteomyelitis. It is one of the major causes of sepsis, very
16 often fatal in hospitals. And these blood infections very
17 often stem from the infections of in-running medical devices.
18 So whenever a patient who's in the hospital has any kind of
19 catheter inserted or it might even be a patient who undergoes
20 some surgery for hip replacement and so forth, bacteremia and
21 possibly full-blown sepsis can very often have this underlying
22 cause, when you have a constant seeding of bacteria from a
23 biofilm type of infection on those devices.

24 And also, there are very specific diseases, very specific
25 toxins in *Staph aureus* can cause -- some strains of *Staph*

1 *aureus* produce toxic shock syndrome toxin which leads to toxic
2 shock syndrome.

3 And last but not least, I should also mention that *Staph*
4 *aureus* can cause foodborne illness.

5 As I mentioned already, and I want to talk a little bit
6 more about this, *Staph aureus* is a leading cause of death in
7 hospitalized patients. Mostly, this is related to sepsis. And
8 I cite this from a review here. *Staph aureus* bacteremia is an
9 important infection with an incidence rate ranging from 20 to
10 50 in 100,000 population per year. Between 10 and 30% of these
11 patients will die from sepsis. And this accounts for a greater
12 number of deaths than for AIDS, tuberculosis, and viral
13 hepatitis combined. In the U.S., invasive immune serious MRSA
14 infections occur in about 94,000 people each year, causing
15 19,000 deaths.

16 So this is from a study that was performed in 2007.
17 Fortunately, as you might -- maybe, for example, know from what
18 the CDC has posted on their website, we do see a decline in
19 MRSA infections. But in absolute number, the mortality that is
20 attributable to MRSA is still pretty much on top of the list of
21 hospital-associated infections, and I think the only pathogen
22 that tops MRSA now is *C. difficile*, which is a model for a
23 different case because it's related to antibiotic overuse.

24 Now, of those infections that I've just mentioned, these
25 94,000 in 2007, 85% are hospital associated, which, as you see,

1 already leaves 15% that are not hospital associated, and I will
2 talk a little bit about this in a minute.

3 But something, of course, that we have to consider when
4 we're talking about vaccines is why do we need vaccines for
5 *Staph aureus*? And the primary reason for that is, of course,
6 that we have an enormous amount of antibiotic resistance in
7 *Staphylococcus*, an enormous frequency, but also multi-
8 resistance to different antibiotics.

9 Historically, it is quite interesting to see how, in
10 general, how fast antibiotic resistance developed. So if you
11 look at the introduction of penicillin into clinical use in
12 1941, you already had penicillin-resistant *Staph aureus* a
13 couple of years later. And then another couple of years later,
14 this was pandemic and spread all over the world. Then
15 methicillin, as a drug that was resistant to the resistance
16 mechanism against penicillin, was introduced in '59. It was
17 even faster, that like 1 year later you saw methicillin-
18 resistant *Staph aureus* infections. The first MRSA outbreak in
19 the U.S. occurred in the end of the '60s. In about at the
20 beginning of the 1980s, MRSA was clearly a worldwide problem.

21 Now, all of these infections that I was talking about so
22 far are basically hospital-associated MRSA infections. But as
23 I mentioned already, there is a new development of community-
24 associated MRSA. These are these 15% that I mentioned earlier.
25 And these community-associated MRSA strains, as the name

1 suggests, occur in the community, not hospital associated.

2 And what, from a basic science point of view, these *Staph*
3 *aureus* strains have in common is that they are, on the one
4 hand, resistant to methicillin, but on the other hand have
5 developed such a high virulence that they can attack otherwise
6 healthy people and don't need an immunocompromised individual
7 or an individual that's undergoing surgeries or for any reason
8 weakened.

9 These MRSA strains, these new community-associated MRSA
10 stains, do have a virulence that is comparable to the virulence
11 that other strains very often have that don't have methicillin
12 resistance. And I mentioned already the figure of about 15%.

13 Now, it's very important to see that, in the U.S., these
14 infections that are community-associated are virtually entirely
15 due to one specific strain or strain group of *S. aureus*, which
16 is called USA300, and that name comes from pulsed-field type
17 electrophoresis which is used to characterize *Staph aureus*. So
18 this is pulsed-field type USA300.

19 In general, community-associated infections, MRSA
20 community-associated infections, are increasing on a global
21 scale while, as I said before, the hospital-associated
22 infections, at least in the U.S., seem to be decreasing. So if
23 we take a look outside of the borders, MRSA is probably even a
24 bigger problem there, or will be in the future, than it is
25 here, both community and hospital associated.

1 One last thing that is, I think, also very, very important
2 in terms of what we're discussing today is that USA300 is now
3 not exclusively anymore a community-associated strain. It is
4 obviously so virulent and so successful that it has also taken
5 over hospitals and is now one of the predominant strains, one
6 of the predominant MRSA strains, both community associated and
7 hospital associated, in the United States. The global
8 importance of USA300 is, so far, a little bit difficult to
9 judge. There are reports that say USA300 is also spreading in
10 other countries of the world, but on the other hand, there are
11 reports that other sequenced types and other *Staph aureus* types
12 are actually predominantly in other countries. So I think, so
13 far, we can say this is still a relatively specific U.S.
14 problem.

15 There are, of course, several different strategies that
16 people are looking at when addressing the problem of antibiotic
17 resistance in *Staphylococcus aureus*. The first and most
18 obvious is, of course, to come up with novel antibiotics, but I
19 think most of you know, especially the company representatives,
20 how difficult this is. It is, of course, one reason why we're
21 looking at vaccines.

22 More, I would say, modern approaches that are maybe not
23 yet mature enough to make it to clinical trials in many cases
24 are anti-virulence drugs, so these would be drugs that
25 specifically attack virulence mechanisms of the bacteria and

1 not try to kill them, such as conventional antibiotics.

2 The same can be said for probiotics. I'm not aware of the
3 fact that there is any probiotic under investigation for *Staph*
4 *aureus* infection.

5 Monoclonal antibodies, on the other hand, is something
6 that many companies are looking at right now. This would be
7 somewhat related to the anti-virulence drugs. So monoclonal
8 antibodies that are directed against specific toxins, important
9 toxins, of *Staph aureus* could be considered an anti-virulence
10 drug. And there are many companies that are looking at
11 monoclonal antibodies, for example, against leukotoxins of
12 *Staph aureus*, so these are toxins that eliminate white blood
13 cells.

14 And finally, of course, today we're talking about
15 vaccines.

16 So I took this from a review of Jean Lee, who is a
17 professor at Harvard and has looked very much into vaccines
18 against *Staph aureus*. This was published in 2008, so this is a
19 little bit influenced by the climax of the community-associated
20 MRSA infections that we have today.

21 So she's trying to outline here the target populations who
22 could benefit from a *Staph aureus* vaccine, and on the left side
23 is what interests us today, so this is active immunization.
24 And you can see that if you look at military personnel,
25 prisoners, and so forth, she lists here several of the risk

1 groups that are risk groups for community-associated MRSA
2 infections, so that's why I'm saying that's a little bit
3 influenced of what, about 10 years ago was a very, very focused
4 discussion on *Staph aureus*.

5 I think it's important to point out in this list that on
6 the left side we have patients undergoing elective surgery, so
7 this is what we're talking about today. And the reason for why
8 she does not list active immunization for several conditions or
9 patient groups here on the right side, where she suggests
10 passive immunization, is mostly that these are people who are
11 immunocompromised, where one would not expect that active
12 immunization works or simply patients where active immunization
13 is not possible for time constraints.

14 So what do we know from a basic science point of view
15 about *Staph aureus* infections and immunity? So ever since
16 people knew what *Staph aureus* is and what it causes, so that's
17 probably more than a century, one thing became very clear,
18 which is that previous *S. aureus* infection does not protect
19 from reinfection. If you have a *Staph aureus* infection once,
20 there is no protective immunity that develops that would, like
21 in many other infectious disease types, that would protect you
22 from reinfection.

23 What has also been found, there are several lines of
24 evidence for this, is that *Staph aureus* infections are normally
25 controlled by innate host defense, so not by acquired host

1 defense. And one of the evidence, and one of many for that, is
2 that in patients that have a specific genetic disorder and that
3 don't have -- so this is chronic granulomatous disease
4 patients. I hate this word, sorry. So, basically, they have a
5 deficiency in leukocytes, and they suffer very, very much from
6 *Staph aureus* infections.

7 So we know a lot about innate immunity, and I'm going to
8 come back to that briefly. But we can say that, in general,
9 the role of protective immunity to *Staph* infection is really
10 not very well understood.

11 Now, this is something where I could have, like, dozens of
12 slides about, and I tried to keep this short because obviously
13 when we're talking about a vaccine, we're not talking about
14 innate immunity; we're talking about acquired immunity. But I
15 still wanted to point out the many mechanisms that *Staph aureus*
16 has to subvert innate host defenses.

17 There is a whole armada of proteins that block pathogen
18 recognition receptors. So this is basically what the innate
19 immune system needs to figure out that *Staph aureus* is around.
20 And *Staph aureus* produces -- and there are many of those. And
21 *Staph aureus* produces a whole lot of proteins that block that
22 recognition, so basically that innate immune system does not
23 even realize that it is around.

24 Something a little bit more direct, even, and I mentioned
25 that briefly already, is that *Staph aureus* produces also quite

1 a lot of toxins, and they're very potent, that directly attack
2 white blood cells, so those are the cells that are supposed to
3 eliminate *Staph aureus* in the end. And there are leukotoxins
4 AB, ED, SF, that's also been called the Panton-Valentine
5 leukocidin, and PSMalpha.

6 Going even a step further, these leukotoxins, at least
7 some of them, work after the white blood cell has ingested
8 *Staph aureus*. This is a very sophisticated mechanism. Oh,
9 sorry, this is back here. So this, of course, causes a
10 particular problem for drug and vaccine development because, I
11 mean, in that state the bugs are very, very difficult to reach;
12 they're inside the neutrophils.

13 And I'll skip this here, and I just wanted to point out
14 that leukocytes are not the only cell types that *Staph aureus*
15 attacks. It has something against erythrocytes, against
16 basically every cell type.

17 And last but not least, *aureus*, of course, also senses if
18 there is something really harmful around. For example, an
19 important part of innate immunity are antimicrobial peptides.
20 We find them inside leukocytes as one weapon to destroy the
21 bacteria, but we also find them secreted on the skin, for
22 example, and *Staph aureus* has developed a whole lot of
23 mechanisms first to sense them and then to decrease
24 susceptibility to them.

25 Now, the role of adaptive immunity in *S. aureus*

1 infections, this is really a problem because we don't really
2 know very much about it. We know that humans do produce
3 antibodies to staphylococcal antigens, but obviously they do
4 not offer significant protection because, as I said, it can be
5 really infected.

6 And the protective immunity that we very often see in
7 animal models, when vaccines, for example, are tested in mice,
8 is not really understood mechanistically very well. And more
9 recent research points to the fact that the protective immunity
10 that we see in such cases, at least in mice, could be mediated
11 by T-cells predominantly.

12 And I don't want to go through all of these, these
13 mechanisms, but basically, T-cells are a very important part of
14 adaptive immunity, for example, in assisting these cells, also
15 in facilitating recognition of intracellular pathogens, which
16 might be important for *Staph aureus*, and in assisting
17 macrophages.

18 Now, of course, people have tried to figure out why there
19 is no protection from reinfection and how *Staph aureus* subverts
20 adaptive immunity, and something that is already a very old
21 finding is the discovery of protein A.

22 So what's shown here on the left side -- and I actually
23 took this from a slide by Olaf Schneewind, who unfortunately
24 cannot be here today. What's shown here on the left side is
25 what's been known for quite a while, which is that protein A

1 binds to the Fc part of an antibody and produces something like
2 a camouflage coat around its surface. It means that these are
3 nonspecific antibodies; it's the nonspecific part of an
4 antibody, so every antibody will bind. So when nonspecific
5 antibodies bind around *Staph aureus*, that means there is
6 basically no more room for a specific antibody to attack, and
7 this is why that's been called a camouflage coat. And,
8 ultimately, this inhibits opsonophagocytosis because, of
9 course, there's no specific antibody.

10 Something that's been found a little bit more recently is
11 there is another form of the inhibition of antibody responses
12 by protein A because it can also bind to the B-cell receptor
13 and this time to the specific part, the Fab part, of the B-cell
14 receptor, which prevents ultimately the production of
15 antibodies by B-cells. So these are some -- two quite basic
16 and efficient mechanisms for this protein that many basic
17 researchers know more from the fact that it's used as a
18 technique in many lab procedures.

19 Another thing that my colleague, Frank DeLeo, and I have
20 pointed out about 10 years ago, when the science -- let's say
21 when we found that there are these leukotoxins that also can
22 attack neutrophils after the ingestion of the bacteria. So
23 what we pointed out back then is that basically this is what
24 happens when you have an antibody-specific response, there's
25 optimization, and then with the help of a complement, *S. aureus*

1 would be ingested by a neutrophil. So if you have antibodies
2 around, if you have a vaccine that everything actually works
3 out quite nicely, there is still the problem that once *Staph*
4 *aureus* is then inside the neutrophil, where it's supposed to be
5 eliminated, it has quite efficient weapons to fight this and
6 then get out of the neutrophil.

7 So this doesn't happen in all cases. It might be
8 restricted to very virulent *Staph aureus*. But that, of course,
9 would constitute a mechanism by which, theoretically, the
10 efficacy of every vaccine would be diminished.

11 Then some of the *Staph aureus* that are either ingested by
12 neutrophils or by non-professional phagocytes also have the
13 capacity instead of causing lysis and destruction of that cell,
14 to simply stay there and persist inside a cell, thus also not
15 be recognizable by the immune system very well.

16 And Dr. Proctor, for example, is one of the most important
17 people who has found and published the role of small colony
18 variance in that regard. So these are specific metabolically
19 limited cell types of *Staph aureus* or variants of *Staph aureus*
20 that can persist in such an environment.

21 Biofilms, another reason for why *Staphylococcus aureus*
22 infections might be very difficult to deal with. Biofilms is
23 actually a phenotype that many of you might have heard about.
24 It represents the agglomeration of bacteria in huge clusters,
25 often surface attached but not necessarily.

1 And these biofilms offer a protection from both adaptive
2 and innate host defenses in addition to antibiotics, by the
3 way, too, although the mechanisms are somewhat different. The
4 protection from host defenses of the -- innate host defenses,
5 that's pretty clear already. If you have a neutrophil, it just
6 cannot get in there that well. And also the antimicrobial
7 peptides that are secreted on the skin might, in cases, in many
8 cases not penetrate very well in such a structure.

9 By the way, this purple stuff here on the bacteria is, to
10 a large extent, exopolysaccharide. And such exopolysaccharide,
11 such an exopolysaccharide coat has the primary effect on host
12 defenses that it actually shelters very immunogenic parts on
13 the cell surface that might be exposed by something that is by
14 far not as immunogenic, although there are some people also
15 trying to find vaccines against specifically that
16 exopolysaccharide. But, in general, this is a mechanism of
17 protecting the bacteria from recognition by antibodies, as
18 shown here.

19 Something that we've actually shown some years ago, and I
20 put this slide here only because this might be something that's
21 a little bit more directly relevant for the question at hand,
22 what we did at the time is that we got synovial fluid, so this
23 is the fluid that's in every joint, we got synovial fluid from
24 patients undergoing knee surgery, and we took that synovial
25 fluid and incubated it with *Staph aureus*, and that *Staph*

1 aureus, which is a very normal culture, is in a single cell
2 form.

3 As soon as you add the synovial fluid to *Staph aureus*, it
4 comes within 10 to 20 minutes you see huge agglomerates,
5 they're microscopically visible, and they have this deposition
6 of what is fibrin and fibrin antigen on the cell surface. I
7 mention this mostly because this is something that we can
8 expect in any type of orthopedic infection to occur because
9 there's always synovial fluid around.

10 The mechanism a little bit more in detail would be
11 that -- and we found out a little bit later, is that you have
12 the deposition of fibrin, which you always have in a
13 traumatized joint in its synovial fluid on the surface of the
14 bacteria. And then later on we found that the specific
15 conditions and synovial fluid actually promote a certain type
16 of physiology, and I don't want to go into detail here, but
17 usually the mechanisms that are usually there to disperse the
18 cells one from each other are down-regulated very much in that
19 environment, and this is why these huge agglomerates develop.

20 Now, coming back again a little bit to the immune system,
21 something that people have learned from the use of specific
22 knockout mice is the relative importance of specific immune
23 cell types in protected immunity to *Staph aureus* in mice. And
24 I alluded to that already earlier. I put here some data. I
25 think they are from Lloyd Miller's lab.

1 So what these people did is, basically, they took a T-cell
2 knockout mouse, a T-cell deficient mouse, and a B-cell
3 deficient mouse, and you see quite clearly that there is still
4 the same protection in the B-cell deficient mouse in this mouse
5 model with an antigen that doesn't really matter in that
6 context, as in the wild type, and in a T-cell knockout there is
7 a very, very clear effect. And this is why these researchers
8 -- it's not un-debated, there are different results, but these
9 researchers have concluded from this that the protective
10 immunity that you see in mice is predominantly T-cell mediated.
11 And there are other results from other deficient mice that
12 point to T-cell, that point to the role of IL-17, TNF-alpha,
13 and I don't want to go into detail now, but these results
14 pretty clearly show it's T-cells and not B-cells.

15 There is also very recent research that's still maybe a
16 little bit too novel that would suggest some kind of protective
17 memory that's due to the innate immune system. But I want to
18 point out here again, this is all in mice. Whether this works
19 the same way in humans, we don't know.

20 A little bit more specifically looking now at vaccination
21 attempts, and I deleted from this list everything that's only
22 passive vaccination. So we're looking at active vaccination
23 attempts here that have made it to clinical trials, and you see
24 that quite a lot of them actually are Phase 1, so they were not
25 really pursued further for different reasons. I mean, I would

1 say the overarching thing here is they were simply given up.

2 But there are two that stand out, and they were already
3 mentioned, that made it to Phase 3, and they both failed. And
4 then, obviously, there is the one that we are talking about
5 today, and I want to take a look briefly at the first two.

6 So Nabi developed StaphVAX, and what I want to point out
7 here mostly is the antigen target. The antigen target was
8 capsular polysaccharide. That comes in type 5 and type 8 in
9 most strains. As mentioned already, the clinical trial failed.
10 What was pointed out later, when people thought about it, was
11 that there might have been poor protective efficacy, that's
12 quite obvious, but for one of the reasons -- one of the reasons
13 might have been that the contribution to virulence of these
14 capsular polysaccharides is just very moderate. This is
15 something that's also been done mostly by Jean Lee at Harvard.

16 But very important is that the strain I spoke a lot about
17 in the beginning of my talk, USA300, which is the most
18 important cause of MRSA infections in the U.S., does not have a
19 capsule. So this strain could definitely not be targeted with
20 such a vaccine component.

21 The other trial was the V710 Merck trial, which was
22 directed at one specific surface antigen. There was a very
23 nice basic science study by Olaf Schneewind's lab, and they
24 compared basically every surface, anchored surface protein and
25 *Staph aureus*, and IsdB came up as one of those that showed most

1 protective efficacy in mouse infection models. And they took
2 four different ones together there and showed in basic science
3 mouse models that this combination of four surface components
4 was quite effective.

5 I only mention that here a little bit more in detail
6 because if you look at the list, Number 5 was actually ClfA,
7 and that is one component that we're going to talk a little bit
8 more about in a minute.

9 So that IsdB trial also failed. Actually, there was -- I
10 think there were safety concerns; it means that the placebo
11 group actually did worse.

12 Now, what people, I think, mostly think about when trying
13 to find optimal antigens is that, of course, they have to be
14 immunogenic in humans. They also should be located accessible
15 on the surface. They need to be accessible for antibodies.
16 They need to be essential so that the *Staph aureus* cannot
17 simply mutate them away and then become resistant. And they
18 should be also widespread in epidemiologically important
19 strains, coming again here to the USA300 story.

20 So I'm only going to present these antigens, and I guess
21 that probably we're going to hear the same thing a couple of
22 times again today, but I thought I'm going to finish my talk
23 with a little bit, maybe, what a basic scientist thinks about
24 them without trying to give really a personal opinion. I'm
25 just going to present them.

1 So the vaccine targets in the SA4Ag are a recombinant
2 mature form of ClfA, which I mentioned briefly already; the
3 capsular, conjugated capsular polysaccharides that's virtually
4 the same as in the Nabi vaccine; and a recombinant form of the
5 MntC protein.

6 CP5, CP8, the capsular polysaccharides I talked about
7 already, they didn't have efficacy in that StaphVAX trial.

8 Talking about how widespread capsular production is, of
9 course, that depends on what kind of strain collection you
10 have, but there are definitely some strains that are not
11 encapsulated, and that includes USA300, which is important
12 because these studies that I cited here are definitely only
13 one-center studies. These are not bigger studies. But what
14 these studies show is that USA300 definitely is also not a
15 predominant strain in the U.S. in orthopedic and surgical site
16 infections.

17 As research by several labs, but reviewed in this review
18 by Jean Lee, has shown, that the contribution to virulence of
19 those capsular polysaccharides is relatively modest.

20 And there is also the effect that capsular-negative
21 mutants can be more virulent than capsule -- than the parental
22 strain, the path capsule.

23 ClfA, I mentioned already briefly, is a surface protein;
24 it's a surface anchor protein that is covalently balanced to
25 the cell wall of *Staph aureus*. It is important for fibrinogen

1 and fibrin binding, so it's one of the *Staph aureus* proteins
2 that definitely is very important as we found, too, in the
3 phenotype that I showed you earlier, where you get huge
4 agglomerations in synovial fluid.

5 It has been shown to be a virulence factor in several
6 types of infections.

7 Anti-ClfA antibodies were efficacious in mouse models for
8 prevention of sepsis. That was in combination with alpha-toxin
9 or thrombin inhibitors.

10 There have also been passive immunization efforts, but
11 they were, well, overall speaking, not that great in outcome,
12 and I think there is quite some ongoing fight about whether
13 ClfA alone, by active immunization, provides protection. There
14 are earlier studies, such as that by Josefson in a mouse
15 arthritis model, and that by Narita in mouse bacteremia, and
16 these studies would suggest ClfA is a good vaccine target.
17 There is that more recent by Jean Lee's lab where she looked at
18 several different -- several different mouse models and did not
19 find overall very good protection. And I think Annaliesa
20 Anderson has written a very detailed recent review about
21 that -- I guess we might hear about that again today.

22 So last but not least, there is the MntC transporter,
23 which is a manganese transporter and which is conserved in
24 staphylococci, not only *Staph aureus* but also others.

25 In the study by Dr. Anderson, published in 2012, active

1 vaccination with MntC reduced bacterial load by about 1 log.
2 It was tested in different strains, always roughly around that
3 value in *Staph aureus* but also in *Staph epidermidis*, which I
4 think was one of the -- one of the main messages of that paper
5 that, you know, it could be also used against other
6 staphylococci.

7 And monoclonal antibodies did equally well in that study
8 and reduced CFUs in infection by about 1 log.

9 One thing, very last thing, I want to say is that from a
10 basic science point of view, there is the question about
11 whether this protein, which is the lipoprotein with its lipid
12 anchor inserted in the membrane, such as throughout the cell
13 wall, to really offer antigenic epitopes that are accessible by
14 antibodies.

15 With that question, I think I want to stop and answer any
16 questions that you might have.

17 DR. EDWARDS: Thank you, Dr. Otto.

18 Are there questions? Yes, Dr. Follmann.

19 DR. FOLLMANN: Dr. Otto, you talked about passive
20 immunization studies for ClfA. Were those all treatment
21 studies, or were any of them to prevent infection prophylaxis
22 studies?

23 DR. OTTO: I think these were treatment studies, as far as
24 I know, yes. But I don't guarantee. I mean, I don't know
25 that. There's so much detail, but as far as I remember, yeah.

1 DR. EDWARDS: Could you comment on the role of T-cells and
2 how you think perhaps that vaccines might be evaluated in terms
3 of their T-cell responses?

4 DR. OTTO: This, I have to admit, is a little bit of a
5 difficult question for me because I am not a T-cell
6 immunologist. So that might really be a little bit outside of
7 my field of expertise. I'd rather not answer that because I
8 might say something wrong.

9 DR. EDWARDS: Yes, Dr. Stephens.

10 DR. STEPHENS: Can you comment a bit further about USA300?
11 You indicate that it doesn't have capsule. Can you talk a bit
12 more about other virulence factors that are unique?

13 DR. OTTO: So that it doesn't have capsules is pretty
14 clear because there is a mutation in the capsular -- and it's
15 been shown quite clearly, it doesn't have capsule.

16 Virulence factors that are unique is -- well, not unique,
17 but it's been very often associated with USA300, that it
18 expresses the Panton-Valentine leukocidin, which is one of the
19 predominant leukotoxins. Further, things that are unique are
20 more metabolism related, and their role in virulence is not so
21 clear.

22 What I would say is the most important thing to say about
23 USA300, that it, in general, expresses toxins at a very high
24 level, toxins that you also find in the maybe less virulent
25 hospital-associated MRSA strains. So the difference there is

1 not the presence of the gene or the protein; it's more of the
2 expression.

3 DR. EDWARDS: Dr. Long.

4 DR. LEVY: Dr. Edwards, it's Ofer Levy. Is it okay to ask
5 a question?

6 DR. EDWARDS: Yes, go ahead, Ofer. Sorry. Thank you.

7 DR. LEVY: That's okay. I had a question for Dr. Otto,
8 and I don't know if this is under his purview, but he mentioned
9 the SA4 antigen vaccine, and I was wondering, is it formulated
10 with an adjuvant?

11 And related to that question, the MntC protein, is that in
12 a lipoprotein form, and if so, does it have Toll 2 agonist
13 activity as part of the formulation?

14 DR. OTTO: I think these questions will be answered by
15 Pfizer in their talk. I don't want to -- yeah.

16 DR. EDWARDS: Dr. Long.

17 DR. LONG: You didn't mention something that I think is a
18 brand new piece of the epidemiology of staphylococcal
19 infections that we see in children, for sure, and that is that
20 MSSA, methicillin-susceptible *Staph aureus*, seems to be taking
21 on some of the moves of community-associated MRSA USA300, and
22 in some cases, that has been proven, and some of those moves
23 would be diaphyseal osteomyelitis in children, which is very
24 unusual; otherwise, pyomyositis and septic embolism from
25 thrombophlebitis at the site of the bone infection.

1 So I don't know if the majority of these are organisms,
2 USA300, that's lost its *mecA* gene or if it is MSSA, who has
3 taken on some of the other virulence factors. But I think it
4 is a new piece of the epidemiology that we are seeing MSSA
5 resurgence.

6 DR. OTTO: I think this is -- the resurgence of MSSA is
7 mostly due to the fact that we are now looking at it. One
8 thing that has to be made very clear is that what you call the
9 moves of CA-MRSA are basically the moves of MSSA; only CA-MRSA
10 has found a way to take up the *mecA* gene and remain as virulent
11 as its MSSA predecessors.

12 So now we're seeing a resurgence, maybe, of these highly
13 virulent MSSA strains, but they were always there. So this is
14 something that is definitely epidemiology. It's not really
15 related to events such as you described of USA300 having lost
16 *mecA*. I mean, these virulent MSSA strains, they were always
17 around.

18 DR. EDWARDS: Any additional questions? Any questions
19 from Dr. McInnes or Dr. Levy that we haven't addressed?

20 DR. McINNES: No questions from me.

21 DR. EDWARDS: Thank you. Thank you very much, Dr. Otto.

22 So our next presentation will be on the Clinical
23 Considerations: Postoperative Staphylococcal Infection, Disease
24 Manifestations, and Therapeutic Options by Dr. Richard Proctor,
25 Professor Emeritus of Medical Microbiology and Immunology at

1 the University of Wisconsin in Madison.

2 Dr. Proctor.

3 DR. PROCTOR: So thank you very much for the invitation.

4 And let me just put this into a little bit of perspective.

5 It's July 1st, 1976, and I'm a new infectious disease fellow at
6 the VA hospital in Madison, and I'm called to see a patient,
7 and he walked into the hospital and he was sick and went
8 directly to the intensive care unit, and he had *Staph aureus* on
9 his aortic valve.

10 So we started him on antibiotics, did all the things that
11 were done at that time, and went home and rounds again on July
12 2nd, the bed was empty. And I was upset because I was
13 concerned, and my concern was well placed; he was dead. He had
14 walked in the hospital and in less than 16 hours later went out
15 in a box. And that focuses your interest. *Staph aureus* was a
16 bad bug, it caused severe disease, and doing the math quite
17 easily, you could see that four decades later, we're still
18 talking about the same things.

19 Now, Bill Craig was my attending and Calvin Kunin was the
20 chief of ID, and they both assured me that you had done
21 everything right. That lasted for about 30 minutes, and I was
22 thinking, if you do everything right and the patient goes out
23 in a box in less than 16 hours, you're not doing things right.
24 So from then on, I've been studying *Staph aureus*.

25 So I'm going to talk a bit about the need for the vaccine,

1 and Mickey already covered a good bit of that. I'll see if I
2 can get this to move.

3 And I want to start out with a full disclosure. I've been
4 interested in *Staph aureus* for a long time, and so that brings
5 me to a lot of places where I might have had conflicts, and all
6 of it is listed in your notes. I want to emphasize again, I
7 worked for Merck from 2008 to 2010. That was during
8 the -- well, the V710, the *Staph* vaccine, was being studied by
9 Merck. I didn't set up the trial, and I wasn't involved in any
10 of the data monitoring, but I was involved in looking at some
11 of the basic science, which I'll discuss later.

12 I'm on scientific advisory boards for AmebaGon. That's a
13 company in Madison that makes amoeba that will go after
14 biofilms, including MRSA. I receive no payments for that.

15 Destiny Pharma, that's a company in the United Kingdom,
16 and they are looking at a drug for nasal decolonization. I am
17 receiving consulting payments from them.

18 DePuy Synthes, I consulted on an antibiotic-coated hip
19 prosthesis. It was one meeting, it was here in another room in
20 the FDA, and the decision was it needed to have more studies
21 done, and I have not done anything more with them.

22 Telephus is a company in Rochester, New York. They're
23 looking at a monoclonal antibody to prevent osteomyelitis. I'm
24 actively involved in the discussions, but I haven't -- there's
25 been no meetings and no payment, but one is scheduled.

1 Integrated Biotherapeutics is developing a multivalent
2 *Staph* antitoxin vaccine, and I received a consulting fee from
3 them.

4 And I've been asked by the Green Cross Corporation in
5 Korea to assist with their *Staph* vaccine. I agreed, but
6 there's been no meetings, no payments, or no contracts. So
7 that's the full disclosure.

8 So at this lecture I'm going to talk about needs, which
9 actually Dr. Otto has covered quite well. Challenges as well,
10 we'll look at that. And there are a lot of challenges because
11 we live with *Staph*, or *Staph* lives with us, and so it's learned
12 how to do that, and it's learned how to subvert much of what we
13 can do to get after it.

14 And the goals of a vaccine are twofold. And my hat is off
15 to Pfizer. They're looking at a preventive vaccine. I think
16 that's the highest bar and the most difficult. And the other
17 approach would be to reduce severity so we decrease the
18 mortality. And that may be easier, but I don't think it's
19 going to be easy at all.

20 So the epidemiology suggests a need. And this is a slide
21 I put together about 10 years ago, and then I added a couple
22 updates. But it's a bad disease. I'm not surprised to see the
23 number of pediatricians here on the panel. It's the number one
24 cause for children being hospitalized and needing surgery.
25 It's the number one cause of bacteremia if you're over 65. So

1 now I'm in that group.

2 The rate of invasive disease is comparable to H. flu, and
3 we developed a vaccine for that to reduce the disease burden.

4 The number of cases are large, they're listed there, and
5 the number of deaths are large, and these remain. And one of
6 the things I can say is we look at all this information, and
7 we've heard that there is now fewer cases, but the problem is
8 the mortality rate hasn't changed. So we're not getting
9 anywhere despite our new antibiotics. The mortality rate
10 remains unacceptably high.

11 So this was the updated one, and when I started looking
12 for new things, I didn't really find anything. There were new
13 publications, but not new news. It's still the same bad news
14 that we have a large problem with *Staph aureus* infections, and
15 the mortality is still much too high. So I think we really do
16 need a vaccine because antibiotics are not the answer.

17 So all successful vaccines, or I should say most
18 successful vaccines, not all, have a biomarker. We know what
19 you have to have in terms of immunity, for protection, in many
20 cases, and that is if you're making a vaccine for the
21 pneumococcus, meningococcus, you know you go after surface
22 components, and if you have antibody, animal models, human
23 epidemiology, it all correlates, and you get a good result when
24 you raise those antibodies in humans.

25 The antigens are clearly involved in the pathogenesis, and

1 immunity is basically pretty well understood in diseases where
2 we've developed a large number of our antibacterial vaccines.
3 That's not the case with *Staph aureus*. And what I can say is
4 if there is any defect in our basic science, and Mickey Otto
5 outlined it pretty well, we really do have a defect in
6 understanding *Staph aureus* immunity, and this is something that
7 it would say has been an underfunded disease. That's a blatant
8 pitch for more money to go into that area.

9 So to develop a successful bacterial vaccine, it is easier
10 when we understand the immunology and the immune protection.
11 So this would be a big advance, I think, in working. That
12 doesn't mean that we shouldn't be trying to handle this,
13 because we have made vaccines, but we didn't understand the
14 immunology.

15 So I mentioned that *Staph aureus* is part of the normal
16 flora, so it has a different relationship than many of the
17 other pathogens where we've developed bacterial vaccines.

18 *Staph aureus* produces multiple diseases, and I think more
19 about a *Staph aureus* vaccine is perhaps an *E. coli* vaccine,
20 which has caused diarrheal disease, urinary tract infections,
21 and bacteremia. And so I think we need to think maybe we don't
22 need one *Staph* vaccine, we don't need "the" *Staph* vaccine; we
23 may need *Staph* vaccines, and they're going to be aimed at
24 different diseases. This is much like they're doing in the
25 *E. coli* field.

1 Of course, challenges, there's so many virulence factors.
2 Which one, which antigen is going to be the best to target, and
3 that's problematic.

4 The anti-toxin animal models are consistent with human
5 clinical disease. So I think the vaccines that are aimed at
6 toxins have a lower threshold because they were probably aiming
7 at reducing severity, and that's going to be easier than
8 prevention.

9 The anti-surface structure antibodies have not replicated
10 human responses, and I will study mice and look at this, but
11 the murine model has consistently failed. If I were a
12 veterinarian and treating mice, I would have a whole host of
13 vaccines, and I would have a murine *Staph* vaccine on the market
14 because they really work. And our clinical trials that had
15 been based on murine models have not worked.

16 So I think there's a couple points to remember, and that
17 is carriers have immunity, and people that are chronic carriers
18 of *Staph*, which represents 30 to 50% of the population, they
19 have more infections, they have *Staph* around all the time, but
20 they have less severe infections, and there's a lower
21 mortality. And not all the studies say that, but the majority
22 of the studies do.

23 The other one is, if you wanted to get sort of a headache,
24 you can read Yosan Streip's (ph.) stuff about the multiple,
25 multiple mechanisms that Mickey already talked about, the way

1 that *Staph* beats the immune system, and by the time you finish
2 reading these articles, you're sitting there waiting for
3 bacteremia to start because it could happen at any second.
4 It's an impressive array.

5 So what have we learned about trials that were aimed at
6 preventing infection? Now, Mickey has summarized a lot of
7 that. I'm going to go over this very quickly, but with passive
8 immunization -- and the antigens are listed there -- active
9 immunization, and ongoing trials. And Mickey already covered
10 those very nicely, but we're still waiting -- awaiting outcome
11 for the trials.

12 The one thing I will say, if I can find it here, in the
13 Aurexis trial that looked at ClfA, that was the one trial of
14 passive immunization that looked at bacteremia. There was a
15 ray of hope there. So I know there are questions about ClfA,
16 but that was the one that had a fairly strong trend. It was
17 not statistically significant, but in the babies the anti-ClfA
18 monoclonal did have a trend toward reduced mortality. So I
19 think that there may be some ray of hope. It may be a small
20 one, but at least it was there.

21 Do we know why the vaccines trial failed? And I think
22 what was measured was opsonophagocytic antibody. Some of them
23 were just uptake, but some of them were bactericidal tests
24 using phagocytes, but not always, and there's been a question:
25 Is that why they failed? The StaphVAX that Nabi had, which is

1 an anti-type 5/CP8 capsular vaccine, the Aurexis by Inhibitex,
2 and V710 had high levels of opsonic activity and yet they
3 failed.

4 There were questions in the Nabi trial: Was that because
5 the antibody levels failed? The problem is, the second part is
6 that if you don't make any antibodies at all -- so people that
7 are agammaglobulinemic do not have an increased incidence of
8 *Staph aureus* infections. There have been a couple papers that
9 claimed that that was the case, but the papers were badly
10 flawed. For instance, in some of them, the people had also
11 received Cytoxan, so their neutrophils weren't functioning very
12 well.

13 The other is that the -- people have innate immunity that
14 is outside of antibodies, and they're protected. And there was
15 one large series that looked at the number of infections that
16 children got, and the thing that was impressive, if you looked
17 at all hospitalized children with bacterial infection and
18 they're agammaglobulinemic, they seemed to be protected from
19 *Staph aureus*, their rates of infection were much below the
20 average.

21 Now, what's happening there, of course, is selection bias,
22 because these children were in there, and they had pneumococcal
23 infection and H. flu and that sort of thing. But certainly,
24 they did not have an increased number of *Staph aureus*
25 infections. In fact, we looked at absolute rates in this, and

1 there are real hard-core statisticians here who can look at
2 that, but I think that it's pretty clear that we don't have
3 good evidence for lack of antibody being a cause. So there's
4 other -- *Staph aureus* stimulates a lot of other pathways, as
5 you would expect with an organism we live with, and it's taken
6 care of still by innate immunity, but by different ways.

7 There was one study that came -- this was Magnus Hook, who
8 took a look at the Inhibitex antibody, and what they found was
9 the dissociation constant or the affinity of the antibody was
10 too low to block binding to ClfA, and it didn't block the
11 fibrinogen-ClfA interaction. In fact, the antibody was about
12 three orders of magnitude too weak to block the interaction.
13 So would better results have occurred if we had a higher
14 affinity antibody?

15 So I don't believe that antibodies would protect. Then
16 what do we know about the protective immunity in *Staph aureus*?
17 We know that neutrophils are important, and Dr. Otto already
18 emphasized that, and people with defects in getting their
19 neutrophils to a site of infection.

20 And I'd like to point out Job's syndrome. That's a STAT3
21 problem, and they don't put out enough IL-17, which comes from
22 Th17. And those people get an inordinate number of *Staph*
23 *aureus* infections.

24 Defects in human immunity, I already mentioned that. And
25 there are animal model papers, most of them murine, and human

1 data that suggests we have -- antibody is not protective.

2 On the other hand, cell-mediated immunity is important.

3 People get prednisone. People that have mucocutaneous
4 candidiasis have defective T-cells, and they get recurrent
5 disease. People who are HIV get recurrent disease.

6 Gamma interferon defective mice: One of the things that's
7 most difficult is you're supposed to keep your mice in a
8 pathogen-free environment, but they still get *Staph* infections
9 because things are not perfect and the animal handlers have
10 hands. So this has been known for quite a while.

11 So it seems like there's an interaction between
12 neutrophils and cell-mediated immunity, and that comes together
13 at -- if I can get this to move -- through Th17. And this is
14 where neutrophils and cell-mediated immunity coincide.

15 Certainly, when we see some immunity, we say, oh, well,
16 you're getting an antibody response, so that's what's
17 protective. But, of course, you also activated T-cells before
18 you get your antibody response. And so I think that antibody
19 may be a measure that you had an immune response, and I think
20 the immune response is being protective through Th17.

21 One of the other things that I'll mention, and has been
22 under study, is that one of the ways that we really are
23 protected at both our lung and our skin immunity is that IL-17
24 has a very potent effect on mucosal cells and keratinocytes.
25 And one of the things we did is took some cultured

1 keratinocytes, with and without IL-17, and then just lyse them,
2 and you drop the cell lysate onto a lawn of *Staph aureus* and
3 you get a nice zone of inhibition.

4 So the peptides that are induced by IL-17 in our surface
5 cells are protective, and I think that that is one of the arms
6 of the immune systems that we generally are not looking at
7 unless you're a dermatologist, and dermatologists and ID people
8 don't seem to talk very often.

9 So this is a time when most of the medical students in the
10 room would fall asleep, so hopefully I'll try to explain this.
11 And this is a working model and a hypothesis for what's going
12 on, and I want to spend a couple minutes going through this so
13 that we can -- that point is really showing up well, isn't it?
14 Okay, I guess you can see it -- is the interactions between the
15 immune system and *Staph aureus* as we know it. And this is
16 almost all based on what we know about human immunity because
17 murine immunity hasn't predicted things. Absolutely fabulous
18 and beautiful scientific work which I love, but it's not
19 predicting our outcome.

20 And what I want to start out with is let's look at the
21 cell right in the middle, is the Th17 cells, and a number of
22 murine studies certainly show that Th17 is critical, and we
23 were able to show the osseous of first -- first off, it was
24 Th17 immunity that was protecting the mice with the V710
25 vaccine.

1 And Tessie McNeely wondered, well, then, why did we -- the
2 antibody, passive antibody, do things and the reason was is
3 that it agglutinated the bacteria, and then in the mouses'
4 livers, the agglutinated *Staph aureus* activated and released
5 interferon gamma. So we still were in the T-cell cell-mediated
6 immunity type of arm of the immune system.

7 So the Th17 calls in the neutrophils activates the
8 epithelial cells with the peptides, stimulates IL-26, which it
9 turns out there's an interleukin that's actually a cationic
10 antimicrobial peptide; it has anti-staphylococcal activity.

11 So I put this at the center, and this is just a working
12 model. One of the things I can guarantee you is that it's so
13 complicated, it's certainly wrong, but it's a place to start
14 out looking at things.

15 Now, one of the things that I was concerned about when I
16 was at Merck was that -- and I wrote a review for *Vaccines*, and
17 unfortunately, a caution that I put just at the end in the
18 summary was well, we have to be careful of Th17 immunity.

19 And what happened was is that I was so excited when we did
20 the basic work, and I was involved in some of the basic work on
21 how the *Staph aureus* V710 is working, V710, and it stimulated
22 Th17 and it was protecting through that, and I said, oh, good,
23 because I had felt for quite some time that it was Th17 in the
24 cell-mediated immunity on the immune system was going to be
25 protective.

1 But I wrote a note of caution that, oh, we have to
2 remember that Th17 is involved in a lot of autoimmune diseases,
3 and its activation can be dangerous. And I think one of the
4 things that happens -- whoops, what happens is I've got a heavy
5 finger, sorry -- is that we change Treg, and Tregs are
6 important because this regulates how vigorous the Th17 response
7 will be. And if it's too vigorous, we get an overwhelming
8 response. And I'm going to go forward to look at some data,
9 and then we can go back and look at this diagram.

10 And basically, one I want to show you is this was a
11 follow-up study that was published in 2014, where Tessie
12 McNeely and colleagues went back to see if they could figure
13 out what happened because patients that received the V710
14 vaccine had an increased mortality.

15 And there was serum that was banked, and they started to
16 look at mortality, and surprisingly, they found out that the
17 people that died after vaccination, and they had developed an
18 active *Staph aureus* infection, invasive infection, 12 died and
19 they had undetectable levels of IL-2, and that was before they
20 were ever vaccinated. And they had undetectable levels of IL-2
21 on the day of admission.

22 So the vaccine figured out a subset of patients that had
23 some immune dysregulation, and they were IL-2 defective. And
24 when you compare that to the patients of the controls,
25 this -- there was mortality, but it was very low in the people

1 with detectable levels in the control population. What
2 happened was you ended up with excess mortality, and it was a
3 hyperimmune response; they died of a systemic immune response.
4 And that's why I'll go back to looking at the Tregs and Th17.

5 So when we look at this, IL-2 should be activating Treg,
6 and if you don't activate Treg, then Th17 response is overly
7 aggressive. So is that what happened?

8 And I really like the way Tessie McNeely put it in the
9 paper when she published the results on the follow-up to the
10 trial, is this is hypothesis generating and that's what this
11 model is. It's not final; it's this has been modified any
12 number of times. There's a lot of information here, and any
13 model that you set up is a hypothesis. So this is generating a
14 hypothesis.

15 But my question is, is if we immunize people and they
16 don't have IL-2, are we then setting them up for a hyperimmune
17 response when they get an infection? And the excess mortality
18 was definitely confined to the immunized people with low IL-2
19 and a *Staph* infection. If they didn't get a *Staph* infection,
20 it didn't happen. So you needed that immune trigger.

21 So I mean, I think the numbers are pretty clear, and the
22 outcome unfortunately was really awful. In V710, 15 of 19
23 died; in placebo, 4 of 22 died. So it seemed to be a vaccine-
24 related event.

25 So I think I've said all of these things, so I'm just

1 going to move ahead and go to the bottom line.

2 So all of our clinical trials aimed at prevention have
3 been based on opsonophagocytic antibodies, and incidentally, in
4 murine models, and they've all failed. So that doesn't mean
5 that the murine model is wrong; it just means that it isn't
6 predictive.

7 So we'd like to have a model that predicts human
8 responses, and I think when we look at some of the antitoxin
9 activities, antibody levels in humans, antibody levels in mice
10 and outcomes, those have correlated. So toxoids may work. I
11 have more optimism for that.

12 One of the questions that can be asked, if we move away
13 from opsonic antibodies and we start looking at other types of
14 antibodies, are those going to be more protective? And so I'm
15 thinking that's a possibility, and we'll have to wait and see.

16 I suspect there will be questions. Thank you.

17 DR. EDWARDS: Thank you very much.

18 Are there questions for Dr. Proctor? Yes.

19 DR. LEVY: Yes, it's Ofer.

20 DR. EDWARDS: Go ahead, Ofer.

21 DR. LEVY: Well, it's really, first of all, to commend him
22 for a really very cogent and provocative review. I think to
23 the evidence that innate immunity can be important for host
24 defense against *Staph aureus*, one can also add the primary
25 immunodeficiency known as IRAK-4, interleukin receptor-

1 associated kinase 4, which is downstream of the toll-like
2 receptor pathway, and evidence from Jean-Laurent Casanova and
3 others that humans born with defects in that pathway, who
4 failed to mount an innate cytokine response to *Staph aureus* and
5 other bacteria, end up with recurrent pyogenic infections in
6 early life, including with *Staph aureus*. So, interestingly, in
7 the case of infants, they grow out of that susceptibility as
8 they reach their teenage years.

9 So, again, I like the concept of taking lessons from human
10 biology; you did that in your review, and you might add the
11 IRAK-4, which also teaches us that immune ontogeny is a factor
12 here, too, and the ways that the immune system deals with *Staph*
13 might vary in the young versus middle age or older individuals
14 as well.

15 DR. PROCTOR: You can't see me because you're on the
16 phone, but I'm writing down IRAK-4 right now.

17 DR. LEVY: Yes, okay.

18 DR. PROCTOR: Thank you.

19 DR. LEVY: Yeah.

20 DR. EDWARDS: Hana.

21 DR. EL SAHLY: Hana El Sahly, Baylor College of Medicine.

22 My question pertains to the analysis by Tessie McNeely.
23 When were the IL-2 measurements performed that were eventually
24 predictive of mortality?

25 DR. PROCTOR: Okay, there were two times. One is when the

1 patient first went in and signed the papers and was immunized.
2 Before they were immunized, blood was drawn and banked. And
3 then when they were hospitalized for the procedure and day of
4 hospitalization, blood was drawn.

5 DR. EL SAHLY: So the IL-2 pre-vaccine was predictive of
6 mortality post-vaccine?

7 DR. PROCTOR: Yes. So to me, that is extremely hard
8 evidence --

9 DR. EL SAHLY: Uh-huh.

10 DR. PROCTOR: -- that we have in our general population
11 people that have immune dysregulation. And I'll have to say is
12 that I didn't see this coming, and when they came by and asked,
13 I said, oh, you know, I think this is really a great trial, you
14 know, I'm excited, and then when I found out that it
15 produced -- it was acting through Th17, I was even more
16 optimistic, and then I was devastated when the data monitoring
17 committee had to stop the trial because of excess mortality.

18 DR. EL SAHLY: And then was there an effect on nasal
19 carriage in the Merck vaccine? Because you showed other data
20 that this was predictive of mortality, too.

21 DR. PROCTOR: Yeah. I don't know. I can't remember. I
22 can answer that question because I have the *JAMA* trial on
23 my -- the *JAMA* paper, I believe, had information on that. Does
24 anybody know here? I have it, and soon as I can get to my
25 accessory brain, I'll give you an answer.

1 DR. EDWARDS: I think we all have that paper, too, so we
2 can't remember, either.

3 (Laughter.)

4 DR. EDWARDS: Yes, Dr. Kirkpatrick.

5 DR. KIRKPATRICK: Thank you, Dr. Proctor. You've done
6 what many in medicine find challenging, which is, you've
7 educated an orthopedic surgeon.

8 (Laughter.)

9 DR. KIRKPATRICK: I'm glad you left this slide up because
10 this is where my question is. I have heard over and over this
11 morning that the response is different depending on the tissue
12 and the host.

13 Where, in this diagram, can we put musculoskeletal
14 infection? So bone, muscle, that sort of thing, and/or
15 implants, as far as how we understand the response, or do we
16 not have it to put on this diagram?

17 DR. PROCTOR: Let me talk in a more general way than -- is
18 that a big part of implant or bone infections is biofilm and
19 the -- once you form biofilm, you get past so many other
20 things. Certainly, antibody. Neutrophils are less effective.
21 Macrophages are somewhat more effective. But once you have an
22 established infection in bone, this becomes a very difficult
23 problem to get rid of.

24 In terms of prevention, there are models that are looking
25 at innate immunity, and activation of innate immunity does

1 reduce the number of bone infections. So I think this model is
2 a general model applying to all kinds of diseases. Whether
3 it's bacteremia or skin infection or bone infection, I think
4 there will be crossovers. And you know what? I've learned so
5 much from my colleagues in orthopedic surgery, and being
6 realistic, probably the most I learned was when I went to a
7 conference. It was held just before the Irish Derby, and I met
8 with the orthopedic surgeon for Churchill Downs, and I learned
9 that horses aren't humans, either. Yeah, you can learn a lot
10 talking to your colleagues.

11 DR. EDWARDS: Could you comment a little bit on the reason
12 that the IL-2 and the IL-17 were decreased? Were those
13 patients, did they have any specific underlying phenotype?
14 Were they lupus patients, or was there something that could
15 characterize their --

16 DR. PROCTOR: No, that's the real problem. This came as a
17 shock. I mean, the people that were immunocompromised
18 were -- known immunocompromised were excluded from the study,
19 as you'd expect in most vaccine trials. So that wasn't the
20 case, and these patients did not seem to have some underlying
21 disease. It was the vaccine that pointed the patients out.

22 DR. EDWARDS: Yes, Dr. Greenberg.

23 DR. GREENBERG: Thank you, Dr. Proctor. My question is
24 about the carrier state. It's been said a couple times this
25 morning, I think that the carrier state leads -- seems to be

1 correlated with a decreased mortality either with bacteremia or
2 with invasive disease or both, and I just want to make sure I'm
3 clear. Is there any known impact of the carrier state on the
4 development of bacteremia and invasive disease? And that's why
5 there's a lower mortality or their risk of those invasive
6 outcomes are no different than the non-carriers, but there
7 still seems to be some impact.

8 DR. PROCTOR: Yeah, it's clear that carriers get more
9 disease, there are multiple studies. There's one study that
10 suggested no, but there are five studies that said if you're a
11 carrier, you get more disease. But the studies also show that
12 the mortality is lower, which is one of the driving forces for
13 saying, well, maybe we can get a vaccine.

14 So if we look at any number of diseases, you know, whether
15 it's bacteremia or skin infections, that sort of thing, it's
16 true, the disease is less severe when you get a repeat
17 infection.

18 Now, I have a question, and this is one that I think would
19 really deserve a good clinical trial, is if we look at all
20 patients with invasive *Staph aureus* disease, it would be
21 fascinating to see what their IL-2 levels were and find out and
22 look at mortality, because we know patients that are immunized
23 by being a carrier, some of those patients die. Though it's a
24 lower rate, are all those patients defective in IL-2, or a
25 significant number? We just don't know. I mean, these are the

1 kind of trials that need to get done and need to be funded
2 because this is a huge problem; it's not going away.

3 I can tell, after four decades of working on this -- in
4 fact, of course, as a new infectious disease fellow, I was
5 going to do some research and figure it out, and we did; we
6 started out figuring out how that *Staph* attached to the valve,
7 and we were going to make antibodies to block that attachment,
8 and that was going to cure things. And, well, here we are four
9 decades later, and we're having a meeting, and we're hoping we
10 can find something. And my fervent hope is, is that this
11 vaccine that we're talking about will work. I mean, that
12 really is.

13 DR. GREENBERG: So along those lines, or in response to
14 your response to me, in the flow diagram we have here, is
15 there -- are there areas of this that are accentuated by the
16 carrier state that seems -- that you think contributes to the
17 lower mortality?

18 DR. PROCTOR: I'd have to say I just don't know. We just
19 need to, you know -- we're so deficient in clinical studies
20 that I think this is an area that we need to look at, and we
21 need to fund, and we don't need an ID doctor who's looked at
22 pathogenesis in much more of the organism and mutants and that
23 sort of thing. And this is my weak attempt at looking at
24 immunology, which I will say right off the bat, I'm not an
25 immunologist.

1 But trying to put this together and trying to figure out
2 what happened, particularly in the V710 trial, this really
3 focuses your mind in, you know, on what's going on. And I
4 think this hypothesis is there to encourage people to find out
5 what's right and what's wrong and what will improve our ability
6 to make vaccines and prevent this disease.

7 DR. EDWARDS: Dr. Follmann and then Dr. Long.

8 DR. FOLLMANN: Dr. Proctor, you mentioned that you felt it
9 was kind of a high bar to set a trial to try and reduce or to
10 prevent infection and that maybe a lower bar would be reduction
11 in severity of disease. Could you comment a little more on
12 that? And also mention how you might look at severity of
13 disease; how would you measure it in an orthopedic surgical
14 population?

15 DR. PROCTOR: Okay, so orthopedic surgery in general, you
16 know, less morbidity, no infections and amputation, fewer
17 patients in the intensive care unit, that sort of thing. So
18 you just have the usual measures of less severe disease. Maybe
19 even shorter time of hospitalization. I mean, there's all the
20 secondary measures that you could look at.

21 I think prevention will be very hard, and Mickey Otto, he
22 said that -- you know, that one infection doesn't prevent
23 another one. And I think if you have a natural infection and
24 it doesn't prevent it, what you can say is the kids that got
25 boils and had PVL, they got another infection, but the boils

1 weren't nearly as severe, and the kids, instead of having to be
2 hospitalized, were handled as outpatients and fewer of the
3 boils needed to be lanced and that sort of thing. So something
4 was going on and -- but antibody to PVL was a correlate, but we
5 really don't know what reduces severity.

6 DR. EDWARDS: Dr. Long.

7 DR. LONG: I wonder how specifically you're using the term
8 "carrier state." So I can see how that would be confounding of
9 maybe doing better, more infections, if you weren't using it as
10 specifically. I think of carrier as you're colonized, you have
11 no symptoms, and there's some time, there's some steep in time.
12 We don't call a child a strep carrier unless we know they've
13 had it for some period of time, and that could explain why you
14 might get antibodies to the organism or probably the toxins
15 that make, for instance, recurrent staphylococcal toxic shock
16 menstrually associated, less severe, etc.

17 But the other thing is that colonization at the time of
18 surgery, at the time of a burn clearly increases the likelihood
19 that you'll have a staphylococcal infection. And so I don't
20 know how you're using the word "carrier state."

21 DR. PROCTOR: So when I was growing up, I had learned that
22 there were three states: there were the permanent carriers,
23 there were the transient carriers, and then there were the
24 non-carriers. And after looking at, oh, probably a decade of
25 research out of the Netherlands, I came to believe that they're

1 right, is that sometimes people are called transient carriers.
2 It's really the numbers are just lower, and they're still a
3 carrier.

4 So they call people carriers or non-carriers, and there's
5 research into why people carrying, whether they have receptors
6 or not. So that's the way I use it. And so if you're
7 positive, you're a carrier. If you're culture negative, you're
8 not a carrier. And that's the way the Dutch have come to
9 describe it. Now, it is a level and the numbers of organisms,
10 is that enough to make a difference? I don't know, in terms of
11 developing antibodies to toxins. Don't know.

12 DR. EDWARDS: Dr. Kirkpatrick and then Dr. Lynfield.

13 DR. KIRKPATRICK: As a response to Dr. Long's question
14 about carriers, practically, in orthopedic surgery, if we're
15 worried about being a carrier, we're treating you as a carrier
16 if you have one instance of it being positive on your nasal
17 swab. So we don't worry about how long you've been there or
18 whether it's transient or not.

19 DR. EDWARDS: Dr. Lynfield.

20 DR. LYNFIELD: Could you go back to the slide, the table
21 of V710 recipients, in which you listed 15 out of 29 died;
22 placebo, 4 out of 22, and the -- yeah, that's it. Thank you.
23 So I may have missed it, but you were saying that the people
24 who died did not have IL-2, and when we look at IL-17, though,
25 the hypothesis is that they then did not have the Treg and so

1 they had more IL-17. But it looks like on the day of
2 admission, the IL-17 was undetectable.

3 DR. PROCTOR: Yeah. And that's why I think it's worth
4 probably concentrating on the IL-2.

5 DR. LYNFIELD: Okay.

6 DR. PROCTOR: But if you didn't -- you know, because the
7 IL-17 is downstream, and so if your IL-2 is not impacting Treg,
8 is that going to change? So I think that what this really
9 speaks to is there is immune dysregulation in these patients,
10 and that's why I think it's really good to think about this
11 paper in human vaccines and immunotherapy that Tessie McNeely
12 and the rest of the basic research group at Merck put together.

13 They called it hypothesis driving, and I think we need to
14 study the patients, and we need to look at probably a large
15 number of patients that are being immunized. But I think there
16 is immune dysregulation, and I think that's the best way to
17 look at it.

18 DR. LYNFIELD: Yeah. No, I understand that. I guess I
19 was trying to understand the pathway, and I thought the pathway
20 was if you do not have the Treg, that you had overproduction of
21 IL-17. And so I thought that was your hypothesis.

22 DR. PROCTOR: Yes, it is. But remember that the
23 patients -- this is day of admission and pre-vaccine, so
24 they're not necessarily getting challenged with any *Staph*
25 *aureus* at all.

1 DR. LYNFIELD: So the day of admission wasn't when they
2 were admitted for infection?

3 DR. PROCTOR: No, no. No, this is --

4 DR. LYNFIELD: Okay.

5 DR. PROCTOR: This is when they are admitted for surgery.

6 DR. LYNFIELD: Admission into the study, that was done --

7 DR. PROCTOR: Admission into the study and then --

8 DR. LYNFIELD: Got it.

9 DR. PROCTOR: -- admission to the hospital.

10 DR. LYNFIELD: Thank you.

11 DR. PROCTOR: It was the day before, 1 or 2 days before
12 they had their --

13 DR. LYNFIELD: So they were not tested when they were
14 admitted for their infection? They didn't have serum obtained
15 at that point?

16 DR. PROCTOR: You know, I didn't design the protocol, so
17 it's hard for me --

18 DR. LYNFIELD: No, I'm just asking.

19 DR. PROCTOR: -- to remember all the details, but
20 I -- they had the surgery, and of course, most of the
21 infections are happening shortly in the perioperative period,
22 and I don't believe any more sera were taken then.

23 DR. EDWARDS: A thoughtful question.

24 Dr. Kotloff. And maybe if you just introduce yourself,
25 too.

1 DR. KOTLOFF: Hi, I'm Karen Kotloff. I'm a pediatric
2 infectious disease specialist at the University of Maryland
3 School of Medicine, Center for Vaccine Development.

4 I was confused in the same way and wondered was there a
5 documentation of excess IL-17 reduction in any of these
6 patients? It's a hypothesis.

7 DR. PROCTOR: Yeah, that's what I'm guessing, but the data
8 that -- the data had to be all done in retrospect because you
9 bank sera, and there were sera that were drawn during the
10 trial, and it was pre-vaccination sera that was taken, and sera
11 was taken the day of admission. But then during the infection,
12 I don't know. That's why, you know, this is a hypothesis, and
13 I think we really need to study this, and I think we need to
14 know is that specific for just this surface antigen, or is it a
15 more general problem?

16 DR. EDWARDS: Any final questions for Dr. Proctor?

17 (No response.)

18 DR. EDWARDS: Thank you very much.

19 DR. PROCTOR: You're welcome. Thank you for the wonderful
20 questions.

21 DR. EDWARDS: Thank you.

22 I think we're very much on target for our time, so we will
23 have a coffee break, and we'll come back and begin at 10:45.

24 (Off the record at 10:30 a.m.)

25 (On the record at 10:46 a.m.)

1 DR. EDWARDS: If everyone could find their seats. If
2 everyone could please find their seat. We're 1 minute behind
3 schedule.

4 So for the next portion of the presentations, I would like
5 to call Dr. Bill Gruber, Senior Vice President for Vaccine
6 Clinical Research and Development for Pfizer, to introduce the
7 next speakers.

8 Dr. Gruber.

9 DR. B. GRUBER: Thank you, Dr. Edwards. Good morning,
10 everyone. My name is Bill Gruber, and I head the Vaccine
11 Clinical Research and Development group at Pfizer. I'd like to
12 thank members of the Advisory Committee for the opportunity to
13 share with you Pfizer's proposed plan and rationale to support
14 a four-antigen *Staph aureus* vaccine indication in elective
15 orthopedic surgery.

16 We are honored to be joined today by presenters and
17 subject matter experts. Dr. Thomas Errico will describe the
18 burden of *Staph aureus* surgical site infection in spinal
19 surgery and share some of his experience as a STRIVE study
20 investigator.

21 He will then be followed by Dr. Javad Parvizi, who will
22 describe the challenge of orthopedic infections with emphasis
23 on hip and knee arthroplasty.

24 And then I will follow with the Pfizer-proposed plan and
25 rationale to support a four-antigen *Staph aureus* vaccine

1 indication in elective orthopedic surgery.

2 We are also pleased to have joining us today Dr. William
3 Richardson, an additional expert in orthopedic surgery, and
4 Dr. Mark Shirtliff, an expert in *Staphylococcus aureus*
5 biofilms, to provide the Committee the opportunity to ask
6 questions to gain additional perspective in these areas, if
7 this would prove helpful.

8 So now let me invite Dr. Errico to the podium for his
9 presentation.

10 DR. ERRICO: Thank you, Bill.

11 I'm here in a short period of time to try and give you my
12 overview of 35 years of experience as an academic spine
13 surgeon. These are my disclosures.

14 I am both in the departments of orthopedic surgery and
15 neurosurgery, but I am an orthopedic surgeon by training.
16 Orthopedic surgery, we share a lot of commonality with other
17 subspecialties. Spine surgery, we tend to start with an intact
18 skin, we cut that skin, we violate the barrier to infection, we
19 cut through the fascia, we go down to bone, we do work there,
20 and that is what we do in life.

21 In spine surgery, in its most simplistic form, there's
22 only three things that a spine surgeon -- you can list hundreds
23 and hundreds of different procedures we do, but it all boils
24 down to three things. We can take a patient who has a
25 compressed nerve or spinal cord, and we can take the pressure

1 off of that nerve, and we call that some type of a
2 decompression.

3 We can take a spine that, over the course of time, has
4 become unstable, and we can stabilize that. We generally rely
5 on a fusion to do that. Typically, that is done with some type
6 of metallic fixation.

7 We can also take a crooked spine that has occurred over
8 time, and then we can straighten that spine, return it to
9 normal alignment, but that is always accompanied by a
10 stabilization procedure.

11 This is an example, a 72-year-old woman who had a
12 progressive spinal deformity emanating from an attack of
13 shingles. She had over the ensuing year, the musculature on
14 the flank that had been affected by the virus had weakened, and
15 she got this severe imbalance.

16 And so we used the two techniques on the bottom, we
17 corrected her deformity, and we stabilized it. So we put in a
18 series of rods and screws, and we resurrected her spine, and
19 that is how she stands afterwards. This is kind of orthopedic
20 surgery at 100 miles an hour. We use very large incisions in
21 spine surgery, and this is what it takes to fix some of these
22 complex problems.

23 Sometimes the problems we treat are iatrogenic, caused by
24 another -- by an intervention meant to help that didn't help.
25 This was a 63-year-old female, status post-five back operations

1 in Florida by the same surgeon, and he started with a single-
2 level operation; additional levels four more times; finally
3 advised by her surgeon to seek psychiatric counseling; unable
4 to stand up straight; she could walk less than one block. And
5 although the hardware looks like it's a good position, it has
6 left her spine in a severe imbalance, leaving her to stand
7 pitched forward, unable to look ahead and in severe pain.

8 So we have surgical mechanisms to make osteotomies into
9 the spine and correct the curvatures but stabilize it once
10 again using metallic implants with screws and rods and a fusion
11 of the area. And we get dramatic post-op results. And you can
12 see that -- although you don't see her eyes, you do still see
13 the smile on her face.

14 Now, unfortunately, as I was told when I was a resident,
15 any time you cut the skin, you interrupt the barrier to
16 infection, and infections can occur, and we take great strides
17 to prevent this in our surgical practice. But we can't get
18 away from it. Surgical site infection in spinal surgery is
19 1.9%. If we look at the contribution of *Staph aureus*, it is
20 about half of the cases. Instrumented spinal fusion has the
21 highest incidence of surgical site infections.

22 And healthcare costs, which we examine on a daily basis in
23 our medical center and all around the country, are nearly twice
24 as high for patients who have SSI compared to non-SSI infected
25 patients.

1 Despite our efforts to do this, *Staph aureus* still
2 complicates spinal surgery, putting our patients at risk for
3 morbidity and mortality.

4 This is a case of a case that was infected. This is a
5 70-year-old female who had significant comorbidities. She
6 presented to us with chronic debilitating back pain; she'd had
7 multiple back operations complicated by *Staph aureus* infection.
8 Because of that, her spine did not heal; she had progressively
9 pitched forward posture, she could not stand erect, and she, in
10 fact, had an osteomyelitis due to MRSA, for which she'd already
11 been on antibiotics for 6 months.

12 We attempted to surgically fix this. We attempted to
13 eradicate the osteomyelitis by doing an anterior procedure;
14 then we turned her over and did a posterior procedure, and we
15 got her to stand up straight and treated her with postoperative
16 antibiotics.

17 Unfortunately, about 3 weeks later, she noticed persistent
18 drainage at the bottom of the incision, no fevers, no
19 constitutional symptoms, but elevated markers, inflammatory
20 markers, sed rate 105, white count elevated, and the culture
21 from the wound: *Staph*. The hardware was intact. We decided to
22 try to treat her with antibiotics. We did a small minimal re-
23 exploration at the base. She had an infected seroma, which was
24 debrided. Some muscle flaps were put over by our plastic
25 surgery department, treated her with 9 weeks of antibiotics.

1 Three months later, she's weaned off her antibiotics;
2 small pinhole incisions come back. She gets a proximal
3 junctional kyphosis, she starts to angulate at the top of the
4 hardware, and her inflammatory markers are high, and she still
5 has a *Staph* infection.

6 We do advanced imaging, and we see this large abscess. We
7 take her back to the OR, we debride the wound, we remove -- we
8 cut one of the connectors, we did a flap, put her on long-term
9 antibiotics, but at 1 year she presents with new drainage,
10 loosening of her hardware, and we realized that we had to bite
11 the bullet and remove all of her hardware and treat her with
12 antibiotics for 3 months. We kept drains in for almost 3
13 weeks.

14 Finally, after 3 months of IV antibiotics, we took her
15 back to the operating room, we re-instrumented her spine, we
16 kept her on IV antibiotics for 3 more months. She's now a few
17 years out on a life-long oral suppressive medication. She
18 still has the risk of long-term recurrences. She's had
19 multiple hospitalizations. She's been in a long-term care
20 facility. I actually just this week had them resurrect all the
21 data and all the hospital admissions, and I was shocked to see
22 that the hospital charges she incurred during her five
23 admissions were \$2 million. The hospital was reimbursed
24 400,000 of that.

25 So I have been enrolling patients for -- since September

1 of 2015 in the STRIVE study. NYU has a quite robust orthopedic
2 spinal program, which I have the pleasure of directing; we do
3 about 2,400 cases a year, and I would estimate that about 10%
4 of those patients are eligible for STRIVE.

5 Yet we've only enrolled about 21 patients out of this much
6 larger pool, and as the principal investigator, I really looked
7 into this, and we have a really good team and more experience,
8 we do lots of clinical trials.

9 But there's been barriers to enrollment. Sometimes
10 patients just don't want to enroll in a study. They just want
11 to reap the benefits of scientific endeavors, but they really
12 don't want to participate. Sometimes, in this particular
13 study, they don't like the added burden of some additional
14 visits and blood draws. People are afraid of needles.
15 Sometimes the patient has been committed to a large spine
16 surgery, and they're just overwhelmed by their condition and a
17 planned surgical procedure, and they can't even contemplate
18 considering it.

19 And lastly, although I say we're experienced in clinical
20 trials, most of the trials, as surgeons, we run are medical
21 device trials, and this is a very pharma-heavy, burdened trial
22 for us. I can say that it's been difficult, but we're
23 learning.

24 And I thank you. And if there's any other questions I can
25 help answer for you, I'd be more than happy to.

1 DR. EDWARDS: Dr. El Sahly.

2 DR. LEVY: Yes.

3 DR. EDWARDS: Wait, Hana's going to speak and then you can
4 speak. Hold on.

5 Go ahead, Hana.

6 DR. EL SAHLY: Thank you, Dr. Errico. Were the people who
7 declined enrollment in the trial compared to those who agreed
8 to enrollment in the trial? And were they comparable in terms
9 of risk factors, comorbidities, other things that predisposed
10 them to infection?

11 DR. ERRICO: I don't think I have that data.

12 Bill, did we collect that data in the study, the people
13 who declined?

14 (Off microphone response.)

15 DR. ERRICO: Yes, Dr. Gurtman would be the best one to
16 answer that.

17 DR. GURTMAN: Good morning, I'm Alejandra Gurtman from
18 Pfizer Vaccines and the global clinical lead for the program
19 and actually leading the STRIVE study.

20 So we collect data on patients who are actually screen
21 failures and not necessarily on the patients who are
22 these -- you know, they decide not to enroll in the study. But
23 I can tell you, Dr. Gruber will show later that we have a great
24 deal of patients with comorbidities that are accepted in the
25 study.

1 DR. EDWARDS: Dr. Levy, did you have a question?

2 DR. LEVY: This may have already been stated and had gone
3 by, but if pediatric enrollment was mentioned, I'm sorry, can
4 you remind me again what the age range of children is?

5 DR. ERRICO: Over 18. So no pediatric enrollment.

6 DR. LEVY: Oh, okay. I thought I heard you mention
7 something about that.

8 DR. EDWARDS: Any other questions?

9 (No response.)

10 DR. EDWARDS: Thank you.

11 DR. ERRICO: Yeah, I mentioned that we do pediatric and
12 adult surgery; that's why you heard that. Thank you.

13 DR. LEVY: Okay.

14 DR. ERRICO: Okay, then it's my pleasure to introduce
15 Dr. Parvizi, who is an orthopedic spine surgeon and does total
16 joints at the Rothman Institute in Philadelphia.

17 DR. PARVIZI: Thank you very much. Thank you,
18 Dr. Edwards. Thanks to the Agency for giving us the podium
19 today. And I'd like to thank Pfizer for inviting me to be
20 here.

21 As you just heard, I am an active surgeon doing total
22 joint replacements. I do roughly 10 joint replacements a week.
23 Most of it is done on patients who have infection. That
24 relates to the fact that I have interest in infection. I
25 presided on the Musculoskeletal Infection Society in 2013, and

1 I have published over 300 peer-reviewed articles just related
2 to the topic of infection in orthopedics. So, hopefully, this
3 gives me a little bit of expertise to be standing in front of
4 you to tell you some of the challenges that we face.

5 Because of my interest in infection, I serve on various
6 committees. I have been the recipient of a grant from NIH,
7 Department of Defense, multiple other organizations, including
8 some from industry, but the most pertinent conflict of interest
9 today is that I am a paid consultant to Pfizer, and I'm here
10 and I'll be reimbursed for my time, taking time from my
11 clinical practice.

12 Let me give you a little bit of history. Back in 1890,
13 Gluck was the first person to have experimented with joint
14 replacement. He was a surgeon that served for the German
15 orthopedic society, and interestingly, the joint replacement he
16 did then on knee replacement is very similar to what we do
17 today.

18 But his problems were twofold. One is that he made his
19 knees out of ivory, which you know is not biocompatible, and
20 unfortunately, all five replacements he did got infected very
21 quickly. And unfortunately, he was then stopped from
22 practicing and ostracized from the German orthopedic society,
23 but he did make one prediction, and that was ailments of humans
24 will be treated by artificial materials, and he was right on
25 that prediction because we do roughly 1.2 million total joint

1 replacements in the United States alone, and that number is
2 likely to increase in the coming years. And out of those,
3 roughly between 25,000 to 35,000 revisions done per year on
4 joint replacement is because of infection.

5 And if you look at some of the health statistics, you will
6 see that by year 2030, at the rate we are going today, we might
7 be doing three and a half million knee replacements in the
8 United States alone. And, unfortunately, with the infection
9 being one of the major problems, we'll be seeing this burden
10 increase only with time.

11 Another visionary man, Sir John Charnley, who really
12 introduced the modern hip replacement into society, he also
13 predicted, in his presidential address back in 1979, that joint
14 sepsis will be the major hurdle in our way in the future. And
15 unfortunately, his prediction was correct because today we deal
16 with problems like this in the operating room because of
17 patients being infected after artificial replacements.

18 I wrote an editorial, I will not bore you with this, but I
19 believe that periprosthetic joint infection is now the last
20 frontier into artificial joint replacement. It poses a huge
21 challenge to us, and we are running out of options. And I will
22 share those with you.

23 The true incidence of infection after replacement is
24 unknown, but it's probably around 1 to 4%, depending on what
25 source you read, but it is higher after revision arthroplasty.

1 And similar to Dr. Errico's presentation in spine, the more
2 complex of a case you do, the higher the incidence of
3 infection. And I'm sure, to clinicians on the board, this
4 would not come as a surprise.

5 The majority of the infections are caused by *Staph aureus*,
6 and I think, again, the true number is not known, but it's
7 roughly over half of the infections in periprosthetic joint
8 infection likely to be caused by *Staph aureus*.

9 The incidence of infection appears to be on the rise.
10 Registries underestimate the incidence of infection.
11 Registries don't collect sophisticated data to be able to give
12 you information on the incidence of infection, nor do they
13 collect granular data to allow us to assess the risk factors,
14 etc.

15 But institutional data has helped us so far, including my
16 own institution that does roughly 12,000 joint replacements per
17 year, again, many of them for treatment of infection.

18 But I do believe that incidence and prevalence, aside from
19 what has been stated, we looked at the incidence of so-called
20 aseptic revisions, revisions being done for failures that were
21 not thought to be infected; unfortunately, 12% of those were
22 infected. And this comes from an institution that pays huge,
23 huge attention to the issue of infection and investigates
24 patients prior to undergoing revision arthroplasty.

25 Infection is not a single event. It can occur throughout

1 the lifetime of the prosthesis. Here's a study I did with
2 Steve Kurtz on the Medicare database, and if you look, a
3 majority of the infections are early, that's that massive drop
4 in the beginning; this is for total hip replacement, but
5 infection continues to threaten the success of total hip
6 replacement and total knee replacement throughout its life. So
7 our patients are unfortunately not protected from infection
8 while they have that prosthesis in place. And again, a
9 majority of them occur within the first 90 days after surgery.

10 The economic burden of infection is unknown. I have had
11 interest in this area; we published some articles in the past.
12 We're not quite sure, but one thing that is clear is that
13 infected cases cost almost four to five times aseptic
14 revisions. Because of that, there's been interest from
15 numerous bodies, including Infectious Disease Society of North
16 America, Musculoskeletal Infections Society, our American
17 Academy of Orthopaedic Surgeons, and I was the lead of a
18 consensus meeting held back in 2013 in Philadelphia, 400
19 delegates that came together to address the issue of infection,
20 and this consensus meeting will be repeated next year -- this
21 year in July.

22 World Health Organization published their prevention
23 guidelines recently. This was rushed through because they
24 realized how important this issue is, and their guidelines that
25 was published in November does have some help that will allow

1 us to try to get our arms around this issue.

2 I was a representative of the academy and served on the
3 CDC guidelines for 4 years, and I'm sure most of you know these
4 guidelines just came out. Very useful. Very frustrating time
5 for all of us that served on this committee because,
6 unfortunately, there's not much evidence for the things that we
7 do in orthopedics, and I think I could even generalize that to
8 medicine overall. But nonetheless, this serves as a very, very
9 important document, and CDC, in my opinion, accomplished its
10 objective of trying to come up with guidelines that will
11 hopefully prevent some of these disastrous complications that
12 happens to our patients.

13 But we've come to realize that, unfortunately, all
14 infections are on the rise and so is the interest in
15 publications. This is just some of the publications related to
16 the subject of periprosthetic joint infection over the last few
17 years, and as you can see, there has been a rapid interest in
18 this subject.

19 I did a projection, a study, with Steve Kurtz. This was
20 published back in 2008. We predicted that the incidence of
21 infection following total knee and total hip replacement was
22 going to be on the rise, almost an exponential curve. This was
23 a projection study. Obviously, we did not have the data at the
24 time. We used the National Inpatient Sampling database, and
25 quite honestly, we were both somewhat surprised at that steep

1 curve that we observed. And we met with some self-skepticism
2 thinking that this was perhaps not going to be the case.
3 Unfortunately, we had under-predicted the incidence of
4 infection. Now that we have data for those years from the NIS
5 database, the numbers of infection we had predicted are now
6 much -- they were much lower than what we actually do today.

7 Roughly 34,000 infections were revised back in 2015, at an
8 estimated cost of \$1.6 billion to healthcare. So not only is
9 this psychologically, socially really burdensome on our
10 patients, it also poses a huge economic cost on the society.
11 And again, as I told you, I'm not quite sure about the actual
12 economic cost of this problem, but I can tell you that when the
13 infection is caused by *Staph aureus*, for some reason it appears
14 to carry a much higher economic cost than other infections.
15 And again, this is the study that I did with Kevin Bozic from
16 San Francisco.

17 It carries high morbidity. Despite doing everything we
18 have in our armamentarium, we do lose the battle in some of
19 these patients. They present with very, very challenging
20 problems, and unfortunately, some of them lose their extremity
21 to this terrible condition. And unfortunately, some of them
22 lose their lives to this condition. So periprosthetic joint
23 infection is actually a fatal disease.

24 We published a study showing that the risk of death after
25 infection, periprosthetic joint infection, when it was age and

1 comorbidity adjusted, was four times higher than the septic
2 group. And if I were to show you one graph, which will
3 hopefully capture the gravity of this situation, it will show
4 you that the periprosthetic joint infection carries a higher
5 mortality than some of the common cancers that we see today.

6 We did another study with Steve Kurtz that was just
7 presented in the closed knee and hip society. We looked at the
8 Medicare database. The questions we were asking was, is the
9 incidence of PJI changing over time, and is mortality after PJI
10 changing over time?

11 This is the 100% inpatient database from Medicare.
12 Obviously, these patients are all over 65 years of age, about
13 two and a half million total knee replacement patients, of whom
14 34,000 had undergone surgery for periprosthetic joint
15 infection.

16 And we used the denominator file issued by the dataset,
17 did a multi-regression analysis, and what we found was,
18 unfortunately, the 1-year mortality was 8% and 5-year
19 mortality, 28% in this patient population. Again, these are
20 all age and comorbidity adjusted. I'm sure most of you will
21 agree that's a terrible survivorship, even judging it by some
22 of these fatal cancers. And when we compared it to breast
23 cancer and prostate cancer, the survivorship after developing
24 infection after total knee replacement matched those of
25 prostate and breast cancer.

1 So what I think, as a clinician who continues to deal with
2 the challenging problems on a daily basis, I truly believe the
3 future needs to be different. We need to have a truly novel
4 approach, and I think both Dr. Otto and Dr. Proctor have very
5 nicely articulated the challenges we face.

6 Antibiotics are failing us. We are not able to really
7 deal with that issue. Again, I've been sensitized by serving
8 at CDC for a while, to the issue of antimicrobial resistance.

9 And we've seen pan-resistant organisms arise. This is one
10 of the CDC reports on pan-resistant *E. coli*. AMR issue
11 continues to attract attention from governmental agencies.
12 This is a report from the British government showing that this
13 issue really is very, very dire and could kill up to 50,000
14 people in Europe and USA per year. And the report tells us
15 that if we do not get the issue of AMR under control, we could
16 lose up to 10 million people by year 2050, much more than
17 fatality from cancer.

18 And in orthopedics, you just heard again from Dr. Errico,
19 we don't really have much options. Whether it's spine surgery,
20 whether it's knee replacement, whether it's hip replacement,
21 shoulder replacement, our approach is the same. When
22 infections occur, especially with *Staph aureus*, all we have is
23 to throw antibiotics at these patients, maybe take them back to
24 the operating room, but it does continue to fail us. We
25 continue to use higher and higher amounts of antibiotics and

1 again, as Dr. Proctor said, we have made no difference to the
2 outcome.

3 So I think the future needs to look at alternative
4 options, perhaps immunity. And again, I'm the last person to
5 be telling you anything about immunity, but I can tell you that
6 there needs to be a different approach than what we have had so
7 far. Perhaps we should parallel what the oncologists have
8 learned in terms of immune-enhancing strategies that they're
9 using today.

10 Vaccination, in my opinion, is an exciting and appealing
11 approach. I have had interest in this for the past 7 years and
12 follow this literature very, very closely. So that's the
13 reason I accepted to come here today to tell you the challenges
14 we face today.

15 In my opinion, there are a huge number of parallels
16 between spine patients who develop *Staph aureus* infection and
17 those who have undergone total joint replacement. Again, you
18 can actually even extend that to include foot and ankle
19 patients, shoulder patients. The demographics of these
20 patients are almost identical. The invasiveness of the
21 procedure is almost identical. The outcome is just as dire,
22 whether it's after spine infection or after total joint
23 replacement.

24 And I can tell you, as a clinician, I really hope that
25 this vaccine will work, and I cannot wait to have this vaccine

1 to use in my patients who are undergoing total joint
2 replacement.

3 So I hope today you will see plenty of scientific
4 rationale to draw the parallel between spine and total joint
5 arthroplasty patients, and no one better than Dr. Gruber will
6 come up here and give you that rationale.

7 Thank you very much.

8 DR. EDWARDS: Are there any questions?

9 Yes, Dr. Lynfield.

10 DR. LYNFIELD: Thank you. I just wanted to go back to
11 your telling us that there's an increase in infections and
12 showing us those data. Was this because there has been an
13 increase in procedures, or taking the number of procedures into
14 account, do we continue to see an increase in infections? And
15 then also, do you have any hypotheses, if that is the case, as
16 to why?

17 DR. PARVIZI: Yeah, thank you for that question. It's
18 both. We do more cases, and hence, the actual volume of
19 infected cases we deal with are going up. But on the other
20 hand, we've expanded the indications for elective orthopedic
21 surgery.

22 In the old days, when somebody with cancer or
23 immunosuppressed disease, the rheumatoids, the lupus, the HIV
24 patients, etc., they may not have been deemed appropriate
25 candidates for elective arthroplasty and are now being offered

1 this very effective operation. So we have expanded it to
2 include a lot of high-risk patients.

3 And then my hypothesis is that our protocols in dealing
4 and identifying infection has improved over the years. We have
5 introduced a biomarker, for example, for periprosthetic joint
6 infection. And then I also think people are paying more
7 attention to infection. So when I was, you know, a young
8 attending interested in infection, with my first grant back in
9 2003 that I got from NIH, I would not be asked to go to any of
10 the meetings, and whenever I gave a talk about infection, I
11 believe the room was empty, and those who were there were
12 probably asleep.

13 But now there is more and more attention being paid to
14 this problem, and I think Dr. Kirkpatrick probably could
15 confirm, the majority of the orthopedic meetings we go to has a
16 whole symposium on the subject of infection.

17 DR. EDWARDS: Dr. Kotloff and then Dr. Bok and then
18 Dr. Monto.

19 DR. KOTLOFF: Thank you. I imagine that a lot of the
20 patients who have PJI have comorbidities, and I'm wondering
21 whether you've been able to tease out the survival of the
22 comorbidities and what the PJI has -- how that, the PJI, has
23 affected the natural history of the comorbidity.

24 DR. PARVIZI: Yeah, a wonderful question. Of course,
25 these patients who developed infection have several of these

1 risk factors for developing periprosthetic joint infection in
2 the first place. That includes diabetes, particularly on under
3 or uncontrolled metabolic syndrome, immunosuppressed patients,
4 patients with immune issues, etc. This whole list goes on. So
5 these patients are at risk of developing that problem in the
6 first place and then, of course, because of their underlying
7 comorbidities, they're not able to properly mount the proper
8 immunological response to infection, and hence, it leads to
9 their demise as a result of not being able to fight that
10 infection, which would've probably happened better in a patient
11 that had a better immune system.

12 In terms of the mortality, so that I actually make that
13 point clear, we did match for comorbidities as much as we
14 could, so the Charlson Comorbidity Index, American Society of
15 Anesthesiologists score, etc. But as you know, that is not
16 sophisticated enough to be able to tease out the difference
17 between a patient that has well-controlled diabetes versus
18 poorly controlled diabetes, or a patient that has an
19 unrecognized immune deficiency versus those that don't.

20 So, overall, I think these patients are at risk of
21 developing it in the first place, but when they do develop it,
22 the outcome is disastrous because they are unable to mount a
23 proper immunological response.

24 DR. EDWARDS: Dr. Bok.

25 DR. BOK: I'm trying to understand how to correlate the

1 surgeries, and I've never seen the foreign materials that you
2 used. But keeping in mind that *Staph aureus* also causes
3 biofilm, do you have any data on if the surface or the size of
4 the foreign material that you use has any effect on the
5 recurrence of infection or the severity of the infection?

6 And also, I'm interested in knowing if the size of the
7 incision matters, too, when you compare a spinal with a knee or
8 a hip replacement.

9 DR. PARVIZI: Sure, thank you. The material we use is the
10 same. We use either stainless steel or titanium, and it's the
11 same material that's used either in spine or total joint
12 replacement, foot and ankle. It's really the same material
13 throughout orthopedics.

14 The longer the surgery, the higher the incidence of
15 infection. There's a direct correlation between operative time
16 and the incidence of infection. There's a direct correlation
17 between the length of incision and the extent of soft tissue
18 dissection and the incidence of infection. So a 9-hour spine
19 surgery will probably have the same sort of an infection rate
20 as a 5-, 6-hour revision total hip replacement, which also
21 requires a very long incision.

22 The materials we use are all as you have mentioned.
23 Because being foreign material, all are prone to developing
24 biofilm, and once the biofilm forms, that becomes a very
25 difficult issue.

1 As far as the affinity of organisms, I think the best
2 person to answer this question would be Dr. Shirtliff, who
3 worked with Dr. Bill Costerton and they got a biofilm, who
4 unfortunately passed away a few years ago. I've seen
5 Dr. Shirtliff give incredible presentations on biofilm, and I
6 will pass this question to him to answer.

7 DR. SHIRTLIFF: Mark Shirtliff, the University of
8 Maryland, *Staphylococcus aureus* researcher and professor.

9 We haven't really noticed much of a difference because
10 bacteria, whenever we're dealing with *Staphylococcus aureus*,
11 it's never specifically about the surface itself. It's what
12 sticks to the surface. So when you implant a medical device
13 into a human, it just basically coats and holds proteins, and
14 then that's what bacteria basically end up sticking to. It
15 doesn't really matter the surface whatsoever.

16 DR. EDWARDS: Dr. Monto and then Dr. Long.

17 DR. MONTO: This may be a little hypothetical, but you
18 mentioned that about 50% of the infections are *Staph aureus*.
19 Is there any evidence that if you prevent the *Staph* infections,
20 you're going to have replacement with something else, given the
21 process of the surgery, etc., because this has become a
22 phenomenon with other -- in other situations.

23 DR. PARVIZI: Yes. So I am interested in the subject of
24 microbiome. We're doing a huge amount of studies right now. I
25 will tell you, though, I personally think the majority of these

1 infections cause -- joint replacement are not caused by a
2 single organism, but are caused by multiple organisms, one of
3 which happens to be dominant. And because of *Staph aureus*
4 being a professional pathogen, that usually happens to be the
5 one that we isolate with culture.

6 And, interestingly, when we see these patients fail later,
7 and up to 25 to 26% of these cases fail later, they fail as a
8 result of another organism. But if you do things like
9 molecular analyses, and we're interested in next-generation
10 sequencing, for example, you actually see that signature of
11 *Staph aureus* still being present.

12 So it looks like either the antibiotic pressure or our
13 efforts to really eradicate a single pathogen and our dogma
14 that infections are caused by one organism only, perhaps all of
15 these need to be investigated. But one thing is clear, is if
16 you enhance the immunity of the patient, perhaps you will
17 afford a better chance of dealing with the later infections,
18 when or if they arise.

19 DR. EDWARDS: Dr. Long.

20 DR. LONG: The staphylococcal infections that are
21 occurring in these situations, both nationally and at
22 Jefferson, which is just down the street from me, what percent
23 are MRSA? In recent years?

24 DR. PARVIZI: Right. About 36% of all the staphs are
25 MRSA. The majority of them, I think, are again the USA300 when

1 we see them.

2 And I know I was very interested in your earlier comment
3 about the diaphyseal osteomyelitis in some of these children.
4 We also see very unusual infections in some of our patients
5 that are presenting with the periprosthetic joint infection.
6 It looks like there is diaphyseal involvement, periosteal
7 elevation, and some of these are so extensive that we have to
8 remove what appears to be healthy bone, which unfortunately has
9 been infected.

10 DR. LONG: So one of the possibilities of the increase in
11 infections is the competency and density that USA300 has on
12 skin, which was very different than previous MSSAs that live in
13 the nose and get to these other places. MRSA USA300 really
14 lives in high density, which brings me to the next question.

15 Have you already continued to see increases in rates of
16 infection with applications of bundles of preventive practices
17 prior to surgery, including chlorhexidine baths the day before
18 surgery to decrease the density?

19 DR. PARVIZI: We have implemented a series of protocols,
20 some of which you have just mentioned, and I believe
21 chlorhexidine wipes and soaps are probably one of the most
22 effective strategies. The literature still remains divided,
23 and that's why CDC made no recommendations about MRSA screening
24 and decolonization. There's been conflicting reports even from
25 governmental agencies regarding that issue. But chlorhexidine,

1 wipe the entire body, probably one of the most effective
2 strategies.

3 I'm personally not in favor of the use of mupirocin,
4 again, because of the AMR issue, and we see a lot of our *Staph*
5 *aureus* be resistant to the Bactroban -- because of that, we
6 moved away to universal decolonization by an antimicrobial
7 agent that is not an antibiotic. So we're doing that on
8 everybody routinely at the moment, including our spine
9 population.

10 And interestingly, the exact protocol spine surgeons
11 implemented, we have done the same, and we have seen a decline
12 in the incidence of overall infection at my institution.

13 DR. LONG: And then the last question, again about the
14 increase in infections. I don't know if, on these great big
15 wounds, you use non-suture material, like what we call glue, as
16 we have seen increasing numbers of infections, especially with
17 *Staph aureus*, surgical procedures where people have used glue
18 rather than sutures.

19 DR. PARVIZI: Yes, we have. But on that front, CDC made
20 their recommendation that we should be washing all of these
21 wounds with aqueous Betadine. Spine surgeons have a habit of
22 pouring vancomycin powder into the wound, and CDC strongly
23 recommends against that practice. There is a correlation with
24 the type of suture material used and the adhesives on the skin
25 and subsequent incidence of infection, which is what you bring

1 up, and I think that's a very important point.

2 DR. EDWARDS: Dr. Follmann and then Dr. Stephens.

3 DR. FOLLMANN: I guess a major reason for focusing STRIVE
4 on the spinal fusion surgery group is because they have a
5 higher incidence of infection. I was interested in your
6 comment that a 4- to 5-, 6-hour total joint replacement would
7 have a similar infection rate to the spinal surgery patient.

8 And so I was wondering, how well can you predict how long
9 it will take to do a total joint replacement operation, like 5
10 hours plus or minus 1 hour. Or how would you describe your
11 ability to predict that?

12 DR. PARVIZI: Yeah, the revision cases in the total joint
13 replacement can be a long procedure, some of them going out to
14 4, 5, 6 hours. Primary joint replacements, much like a single-
15 level instrumentation, the spine could be an hour operation
16 with a fairly small incision. The rate of infection after a
17 spine, as you correctly suggested, is higher than total joint
18 replacement, and I guess that must have been the impetus for
19 going after that patient population in the cohort. But the
20 interesting thing is -- and again, I will repeat myself. The
21 predisposing factors of developing infection after a spine
22 surgery are almost identical to total joint arthroplasty.

23 DR. FOLLMANN: Actually, I was interested in a more
24 specific question. Let's suppose you have a patient who's
25 going to have total joint replacement. Could you say, oh, this

1 guy will take 3 hours and then a month later you get another
2 person with a total joint replacement, and you'll be able to
3 say, oh, this guy will take 6 hours, or are you totally unable
4 to predict that?

5 DR. PARVIZI: You can. I mean, it will be a very slow and
6 maybe not such a skillful surgeon to be taking 3 hours to a
7 primary joint replacement. But revisions are unpredictable.
8 Revisions can be up to 6, 7 hours. You can somewhat predict
9 what it will take to do these revisions, but you're not always
10 correct in terms of your timing. As the anesthesia will tell
11 you, surgeons will underestimate the length of the operation.
12 But there are some revisions -- and I see John nodding, but
13 there are some revisions that will take up to 5, 6, even longer
14 hours.

15 DR. EDWARDS: Dr. Stephens.

16 DR. STEPHENS: I was curious, in your graphs of prolonged
17 courses or prolonged infection rates that occur 1 year or more
18 after infection, can you comment on the etiology of those
19 infections? You kind of mentioned that they may be
20 polymicrobial in nature as opposed to *Staph aureus*, but maybe
21 *Staph* is still present.

22 And also, there was a history of the attempt to use
23 antimicrobial beads or other things in some of these
24 procedures. Is that not an issue that is currently being
25 pursued?

1 DR. PARVIZI: So I understood your first question, and
2 I'll answer it, but I just want to make sure I understood your
3 second question.

4 The first part, I will give you my theory as to why that
5 happens. We don't know exactly why infection throughout the
6 life of the prosthesis can occur, but I think part of it is
7 because there can be an inciting event, like extraction of an
8 infected tooth. It could be a source of infection elsewhere.

9 The second theory would be that some of these are actually
10 acute and chronic, the patients have a level of -- a steady
11 level of microbiome or organisms that had not manifested
12 clinically either as a result of changing and viewing the stats
13 of the patient and/or the state of dysbiosis. It then
14 manifests itself as clinical infection.

15 And the third could be that these are actually infections
16 that existed throughout the course of the joint but got
17 investigated later. You know, to have pain after total knee
18 replacement is not uncommon, and most of the time we actually
19 ignore these patients if the components are well fixed and we
20 have no reason to be investigating them. So late investigation
21 could be the other source.

22 And I think your second part was about the use of beads?

23 DR. STEPHENS: Just to follow up on the first question, do
24 you still think that a majority of those are *Staph aureus* in
25 those late periods?

1 DR. PARVIZI: Looking at the organism profile, again, we
2 can look at the CDC and European CDC's data. Most of them, and
3 I'm not going to hold a number here, but most of them are *Staph*
4 *aureus*. Yes.

5 DR. STEPHENS: And the second question related to
6 antimicrobial beads or other materials that would be put into
7 the joint itself. Is there any data that shows that that has
8 any efficacy?

9 DR. PARVIZI: Anecdotal data, but no randomized
10 prospective studies. And I think, again, CDC makes a comment
11 about that issue, and they really are urging the orthopedic
12 community to do a proper randomized prospective study.

13 DR. EDWARDS: Yes, Dr. Kirkpatrick.

14 DR. KIRKPATRICK: Just to supplement that concept of
15 antibiotic beads, there are some people that are routinely
16 using antibiotic cement to cement their prostheses in. That's
17 kind of a different category because the beads are a treatment
18 of the infection. The cement concept is to try and prevent the
19 infection from occurring, and of course, that's very
20 controversial because of the development of microbial
21 resistance.

22 DR. EDWARDS: Yes, Dr. Englund.

23 DR. ENGLUND: So I have one question about the age of
24 these patients, and the reason I'm interested is because we're
25 talking about a vaccine, and as a flu person, sometimes we know

1 that older people don't respond to vaccines. I mean, really
2 older people, like the elderly elderly over the age of 75 -- or
3 85 or 95.

4 (Laughter.)

5 DR. ENGLUND: Okay.

6 DR. EDWARDS: I think, Dr. Englund, you need to be
7 careful.

8 DR. ENGLUND: Okay, okay. So I am not talking about the
9 young elderly. But at any rate, could you give us an
10 indication of the -- for example, the median age that we would
11 expect for some of these spinal surgeries versus hips versus
12 knees? And the reason I'm asking this is because of the
13 comparability, eventually, of what populations one would like.

14 DR. PARVIZI: Sure. Average age of patients undergoing
15 total knee replacement is around 63 and a half. The average of
16 age of those undergoing total hip replacement, it used to be
17 around 65. That number is changing rapidly. We're actually
18 seeing both ends of the spectrum now being subjected to total
19 joint replacement. And being a person with an interest in
20 young patients with arthritic conditions, we see a lot of young
21 patients undergoing total hip replacement, for sure, and to
22 some extent, total knee replacement.

23 So the average age for patients undergoing total joint
24 replacement is declining, definitely, in the USA, but I would
25 say globally. I don't know what the average age of patients

1 undergoing spinal fusion with instrumentation is, but if I were
2 to guess, I'd bet that's not very different than what we do in
3 total joint replacement.

4 UNIDENTIFIED SPEAKER: But it's very similar.

5 DR. PARVIZI: A fair statement. Very similar. So if it
6 shows efficacy, I assume, in the elderly or older population in
7 the spine, I think it's fair to assume that it will show
8 efficacy in the total joint replacement patients also.

9 DR. EDWARDS: Okay, thank you very much.

10 DR. PARVIZI: Thank you.

11 DR. EDWARDS: Dr. Stephens, do you want to turn your
12 microphone off?

13 DR. B. GRUBER: So, hello again. I'm Bill Gruber, and I
14 head the Vaccine Clinical Research and Development group for
15 Pfizer. And again, I'd like to thank members of the Committee
16 for the opportunity to share with you Pfizer's proposed plan
17 and rationale to support a four-antigen *Staph aureus* vaccine
18 indication in elective orthopedic surgery.

19 So let me first review for you the presentation agenda,
20 which we'll go through the topics for consideration today that
21 will help support that STRIVE should be representative of
22 expectations for an elective orthopedic surgery population.

23 First, I'll review with you the significant health burden
24 of postoperative *Staph aureus* infections following elective
25 orthopedic surgery. I will then follow with a description of

1 Pfizer's proposal, and then this will be followed by a
2 description of the pathophysiology of postoperative surgical
3 site infection and the rationale for vaccine design. And I'll
4 then end with a more detailed description of the STRIVE study
5 design and the justification for application of safety and
6 efficacy to all adult elective orthopedic surgery populations.

7 So let's begin. As you heard from Dr. Parvizi and
8 Dr. Errico, there's a significant unmet medical need for
9 prevention of *Staph aureus* infections in orthopedic surgery
10 patients.

11 In summary, *Staph aureus* is the most frequently isolated
12 organism in orthopedic surgical site infections. *Staph aureus*
13 orthopedic surgical site infections are associated with
14 increased length of stay and mortality, and the number of
15 elective surgical procedures in the United States continues to
16 grow: 9.7 million spinal procedures and 17.8 million
17 arthroplasty procedures are anticipated to occur in the decade
18 of 2021 to 2030 in the United States, when a vaccine might be
19 licensed. Assuming the current epidemiology and current
20 attempts to prevent infection, these procedures could lead to
21 182,000 *Staph aureus* infections, 89,000 invasive *Staph aureus*
22 infections, and 3,200 deaths in the absence of a vaccine.

23 As you've heard from Drs. Parvizi and Errico, there is
24 significant morbidity for those infected, and the potential for
25 those infections to be due to MRSA compounds this morbidity.

1 Use of therapeutic antibiotics for suspect and proven
2 infections increases risk for expansion of antimicrobial
3 resistance. And so clearly, there's an unmet medical need for
4 a strategy to better prevent *Staph aureus* infection that a
5 vaccine could fulfill.

6 To understand the nature of Pfizer's proposal to address
7 the medical need, it's first important to have some basic
8 understanding of the ongoing STRIVE study, which I'll describe
9 in greater detail during the course of today's presentation.

10 STRIVE stands for *STaphylococcus aureus* suRgical Inpatient
11 Vaccine Efficacy. It is a global, placebo-controlled,
12 randomized, double-blind study evaluating the safety,
13 tolerability, and efficacy of the four-antigen *Staph aureus*
14 vaccine in patients undergoing elective, open-posterior,
15 multilevel, instrumented spinal fusion procedures in adults
16 aged 18 to 85 years.

17 The study is designed to assess efficacy of the vaccine in
18 the prevention of postoperative *Staph aureus* deep incisional or
19 organ/space surgical site infection and/or bloodstream
20 infection within 90 days.

21 STRIVE is currently a Phase IIb study with plans to
22 convert it to a Phase III study with approximately 90% power to
23 demonstrate vaccine efficacy with the lower bound of the
24 confidence interval greater than 20% for the vaccine, assuming
25 a true vaccine efficacy of greater than or equal to 70%. To do

1 this, it's anticipated that approximately 6,000 subjects will
2 be enrolled with 3,000 in the vaccine group and 3,000 in the
3 placebo group.

4 With this basic grounding, let me now share with you
5 Pfizer's proposal for consideration by the Advisory Committee
6 today. Just as Dr. Roberts shared with you at the beginning of
7 today's presentation, we've asked the Committee to assume a
8 future state in which the STRIVE study demonstrates that the
9 four-antigen *Staph aureus* vaccine has acceptable safety and
10 efficacy for preventing *Staph aureus* infection in adults, as
11 defined by the primary endpoint.

12 Then we maintain that the STRIVE results should be
13 representative of safety and efficacy in elective orthopedic
14 surgical populations 18 years of age and older.

15 This would then lead to the following proposed indication
16 and dose: Active immunization for the prevention of
17 postoperative invasive disease caused by *Staphylococcus aureus*
18 in adults 18 years of age and older undergoing elective
19 orthopedic surgery. The vaccine would be given as a single
20 0.5 ml IM administration in the window of 10 to 60 days prior
21 to the elective surgical procedure.

22 Now I'd like to share with you the pathophysiology of
23 postoperative surgical site infection and the rationale for
24 vaccine design that makes an elective orthopedic surgical
25 indication possible.

1 To understand the nature of how a vaccine would work, it's
2 important to understand that the pathophysiology of surgical
3 site infection is consistent across elective orthopedic
4 surgical populations and procedures. It's well recognized that
5 the greatest risk of infection occurs at the time of the
6 surgical incision and when the wound is open during the
7 operative procedure. This is the basis for the use and success
8 of prophylactic antibiotics at the time of surgery, with
9 antibiotics being most effective in preventing *Staph aureus*
10 infection when the antibiotic is enbored at the time of the
11 incision. And the nature of this risk is true whether or not
12 we're dealing with different sites of orthopedic surgery or
13 procedures with or without implants.

14 If the *Staph aureus* organism has an opportunity to gain
15 access, it immediately sets up housekeeping activities that
16 allow it to adhere and then, if unchecked, goes on to produce
17 late local bacteria, bacteremia, distant organ tissue and
18 biofilm infection, as shown on the last panel of this slide.

19 Just like an antibiotic, the immune response to *Staph*
20 *aureus* vaccine needs to intercept the *Staph aureus* organism at
21 this very early critical stage when most infections occur.
22 And, in fact, the Pfizer vaccine has been designed to produce
23 an antibody response to antigens expressed early in infection
24 to provide this level of protection that then prevents all the
25 downstream consequences, I'm showing you on the slide, of

1 adherence and disease.

2 What key factors account for efficacy of the four-antigen
3 *Staph aureus* vaccine against *Staph aureus* infection? The
4 vaccine targets three virulence mechanisms, expressed early
5 infection rather than a single virulence mechanism, which has
6 been a limiting feature of past vaccines. And I will describe
7 these in more detail in a moment.

8 The vaccine antigens have demonstrated efficacy in
9 preclinical models, including invasive disease animal models.
10 And the four-antigen vaccine induces functional antibodies to
11 each of three virulence mechanisms which facilitate killing of
12 *Staph aureus* by opsonophagocytosis and neutralize virulence
13 pathways directly associated with targeted antigens.

14 The STRIVE population has been chosen as the most
15 stringent population in which to demonstrate vaccine efficacy
16 and safety.

17 The four-antigen *Staph aureus* vaccine has been designed to
18 target three key virulence mechanisms expressed early in
19 invasive *Staph aureus* infections. These are shown on this
20 slide. The first antigen is Clumping factor A, which targets
21 the mechanism that the organism primarily uses to adhere to
22 host factors. The second is the manganese transporter protein,
23 which is important for nutrient acquisition. And the third are
24 the capsular polysaccharides CP5 and CP8, conjugated to CRM,
25 which allow an invasion by their anti-phagocytic properties in

1 the absence of specific antibody.

2 Now, as described in great detail in the briefing
3 document, Pfizer has demonstrated immune responses to each of
4 these early antigens by functional assays. Antibody to
5 Clumping factor A prevents adhesion. Antibody to the manganese
6 transporter protein prevents acquisition of critical nutrients.
7 And for the capsular polysaccharides, the vaccine induces
8 opsonophagocytic antibody that demonstrates not only uptake of
9 the organism, but also killing of the *Staph aureus* organism,
10 which is critical in predicting efficacy.

11 All of this provided great confidence that the vaccine
12 would likely provide protection. And, in fact, if one looks at
13 the antibody responses, the four-antigen vaccine
14 demonstrates -- and I want to stress this point -- functional
15 immune response that is robust across the entire spectrum of
16 adults 18 to 85 years of age, as described in detail in your
17 briefing document.

18 In addition, the vaccine also demonstrates a satisfactory
19 safety profile, also as highlighted in the briefing document.

20 Now, let me orient you to the details of the nature of
21 what's shown on this slide. Each of the panels demonstrates
22 antibody to a specific antigen contained in the vaccine, CP5,
23 CP8, Clumping factor A, and the manganese transporter protein.

24 On the x-axis for each panel are shown the number of days
25 subsequent to vaccination as a single dose at Time 0. The gray

1 solid line represents placebo response. The orange line
2 represents the response in vaccinated subjects. And the y-axis
3 provides geometric mean titers on a log scale.

4 The dotted line represents the lower limits of
5 quantitation of the assay. I think you can quickly see that
6 the placebo recipients have antibody levels that are either
7 slightly above or below the lower limits of quantitation,
8 indicating very little or no preexisting functional antibody
9 that could provide protection against *Staph aureus* infection in
10 individuals that are not vaccinated.

11 Contrast that with the orange line, which shows a very
12 rapid antibody response after a single dose of vaccine that
13 occurs within the first several days of vaccination that has
14 been well maintained through the immediate highest risk period
15 approximate to the time of surgery, as well as after 360 days.
16 Notably, this antibody response is maintained through the first
17 90 days when the majority of elective orthopedic surgery
18 infections occur and extends through 180 days, encompassing
19 nearly 100% of the risk period for such infections.

20 To define this just a little bit more for you, the *Staph*
21 *aureus* vaccine demonstrates a very rapid response between 8 and
22 11 days, such if there are log-fold increases, essentially, two
23 log-fold increases shown here against CP5 compared to the time
24 prior to immunization or to placebo recipients.

25 And remember, as shown here, this is the opsonophagocytic

1 assay, which is a killing assay, and provides confidence that
2 functional antibody will be on board at the critical time of
3 the incision and when the wound is opened, when infection is
4 most likely to occur.

5 So encouraged by these results and a satisfactory safety
6 profile in earlier studies, as described in your briefing
7 document, Pfizer has moved forward with the STRIVE study to
8 demonstrate efficacy and safety of the vaccine in an elective
9 orthopedic population that we consider representative of all
10 elective orthopedic populations.

11 I'm now going to describe for you the rationale for the
12 selection of elective spinal surgery, the study design, and
13 safety assessments. And then I'll follow that by speaking to
14 you why the STRIVE study is then representative of other
15 elective orthopedic surgeries. First, let's go over some
16 considerations for selecting an elective orthopedic efficacy
17 study population.

18 The population should have a competent immune system.
19 Now, what do I mean by this? The population should include
20 those individuals with common underlying conditions that exist
21 in the elective orthopedic population at large, such as
22 diabetes, obesity, and cardiopulmonary disease. Individuals
23 with stable conditions of these types would be included in such
24 a study because they are representative of conditions commonly
25 seen in the population for which the vaccine would be

1 indicated.

2 The population will purposefully exclude those individuals
3 with significant immunocompromising conditions such as cancer,
4 HIV, and individuals on high doses of immunosuppressive
5 therapy. It is anticipated that in such immunocompromised
6 individuals, a single dose of vaccine may not prove sufficient
7 to provide protection.

8 Another consideration is the ability to be vaccinated
9 prior to a known period of risk, and in the circumstance of
10 elective surgery, as I've shown you, it's possible to identify
11 a period of time before an individual is scheduled for surgery
12 during which they could receive a single dose of vaccine to
13 provide protection.

14 And there needs to be a predictable incidence of invasive
15 *Staph aureus* disease. And again, a great deal of work has been
16 done to define the nature of the incidence of disease in
17 elective surgical populations that allowed us to move forward
18 with the STRIVE population.

19 And there needs to be an ability to observe the invasive
20 *Staph aureus* disease clinical endpoint within the defined
21 period of risk, and it's well recognized that in the elective
22 orthopedic surgery population, most infections occur within the
23 90 days after the time of surgery.

24 Elective orthopedic surgical populations satisfy these
25 criteria, and in particular, the STRIVE population, those

1 individuals undergoing that particular subset of elective
2 spinal surgery satisfies all these criteria and permits an
3 expedient determination of efficacy and safety in a
4 representative orthopedic population.

5 Now, there were challenges to trying to identify what
6 population would work best that led us to conclude that the
7 STRIVE population is the most efficient way to get an answer
8 that is representative of safety and efficacy in the elective
9 orthopedic surgery population at large. I want to share with
10 you some of the challenges that we encountered in thinking
11 about this in reference to alternative populations.

12 It's well recognized that hip arthroplasty and knee
13 arthroplasty are common elective procedures associated with
14 infection risk, and you heard about this in great detail from
15 Dr. Parvizi. But you can see that the attack rates are at 4%
16 for hip arthroplasty to 0.25% for knee arthroplasty. Now,
17 given the large numbers of such studies that are performed,
18 this accounts for a large disease burden, as described by
19 Dr. Parvizi.

20 However, for conducting a trial to determine efficacy,
21 these attack rates would translate into a requirement to enroll
22 between 25,000 and 40,000 subjects and would take over 10 to 15
23 years to effectively conduct such a study to recruit and obtain
24 the necessary number of cases to reach an endpoint. And this
25 estimate already figures in an ability to recruit subjects

1 perhaps as much as two times faster than in STRIVE.

2 So if you contrast this, then, with the STRIVE population,
3 a subset of spinal-instrumented multilevel spinal surgery,
4 which was specifically selected for its higher attack rate of
5 approximately 1.4% as determined by published information and
6 available databases, this study would require approximately
7 6,000 subjects and could be conducted in approximately 6 years,
8 assuming true vaccine efficacy of greater than 70% with 90%
9 power to demonstrate a 95% lower bound of the confidence of
10 greater than or equal to 20%.

11 Now, it's worthwhile to understand a little bit about the
12 complexity of this study and why, even with the higher attack
13 rate, it takes us 6 years to get to an answer.

14 After 28 months of enrollment, we've enrolled 1,900
15 subjects randomized from approximately 100 sites in the United
16 States, Canada, Japan, and six countries in Europe. To reach
17 6,000 subjects, it's anticipated we will require additional
18 sites in additional countries to be successful.

19 Even to this -- get to this point, as a required contact
20 with over 1,700 investigators to identify the current 100 study
21 sites, key challenges related to identifying sites include
22 adequate volume of elective multilevel, instrumented spinal
23 surgery, experience in clinical trials, and appropriate
24 clinical research infrastructure, much of what was described by
25 Dr. Errico.

1 In the face of these challenges, Pfizer is committed to
2 moving forward to a study of 6,000 subjects in STRIVE to secure
3 an indication for all elective orthopedic surgery within 6
4 years.

5 And there's a reason why time is important. There's a
6 large potential U.S. public health impact of a 70% efficacious
7 *Staph aureus* vaccine that could be realized in the decade
8 between 2021 and 2030 at the time such a vaccine could be
9 licensed.

10 Shown on this slide are the number of surgical procedures
11 in that decade. If we just take spinal surgery and
12 arthroplasty alone, you can see that there are a total of
13 27,500,000 procedures anticipated to be performed over the
14 decade of 2021 to 2030. A 70% efficacious vaccine would stand
15 to prevent over 70,000 infections in arthroplasty alone and
16 close to 100,000 hospitalizations and over 2,000 deaths if
17 broadly applied to an elective orthopedic surgical population,
18 including arthroplasty and spinal surgery.

19 Moreover, such a vaccine could have a dramatic impact in
20 reducing the morbidity of *Staph aureus* infection and could
21 reduce rates of antimicrobial resistance due to reduced use of
22 therapeutic antibiotics.

23 An efficacious vaccine will also reduce the significant
24 morbidity of *Staph aureus* infection described to you by
25 Dr. Errico and Dr. Parvizi. So there's clearly an important

1 need to move forward in an efficient fashion to demonstrate
2 efficacy and safety of vaccine for elective orthopedic surgery,
3 and STRIVE accomplishes that goal.

4 So let's, then, talk about the nature of the STRIVE study
5 to understand how it helps to accomplish that goal and then how
6 it can be considered as representative of results expected in
7 other elective orthopedic surgery populations.

8 The STRIVE study is a double-blind, placebo-controlled
9 trial in which subjects are randomized to receive either the
10 *Staph aureus* vaccine or placebo in a 1:1 fashion. The vaccine
11 is administered as a single IM administration in this important
12 window, 10 to 60 days prior to surgery, when antibody levels
13 are at their highest at the time of surgery.

14 This was begun as a Phase IIb study, but it is our intent
15 to convert this to Phase III, and we are under discussions with
16 the FDA about how to do just that.

17 Again, the study is being conducted in an elective, open,
18 posterior spinal fusion population that includes multilevel
19 instrumentation to give us that high attack rate of 1.4%, which
20 is at the high end of the spectrum of *Staph aureus* infection.

21 It's anticipated that we'll enroll a total of 6,000
22 subjects 18 to 85 years of age, and we are currently enrolling,
23 as mentioned, at sites in the United States, Canada, Europe,
24 and Japan.

25 The primary outcome of the STRIVE study is the number of

1 subjects in each treatment group with postoperative *Staph*
2 *aureus* deep incisional organ/space surgical site infection
3 and/or bloodstream infection occurring within 90 days of
4 elective, posterior, instrumented spinal fusion, which again
5 reflects that key risk period where most of the disease occurs
6 and represents an important measure of the likely efficacy of
7 the vaccine.

8 But we recognize that there's a broader spectrum of
9 disease associated with *Staph aureus* infection in elective
10 orthopedic surgery, and so STRIVE is also designed to evaluate
11 other *Staph aureus* infection influence.

12 Postoperative infections occurring after surgery caused by
13 *Staph aureus* will be prospectively evaluated and independently
14 adjudicated through 180 days post-surgery.

15 Protocol-defined infection clinical criteria utilized the
16 NHSN, or National Healthcare Safety Network, surveillance
17 criteria to define all endpoints. And these include deep
18 incisional organ/space surgical site infections and bloodstream
19 infections; they also include all other invasive *Staph aureus*
20 infections as well as superficial surgical site infections.

21 So you can see that the Pfizer STRIVE study is designed to
22 provide a comprehensive assessment of efficacy of the vaccine
23 against *Staph aureus* infections in elective orthopedic surgery.

24 STRIVE also includes rigorous assessments to support the
25 safety of the vaccine. Comprehensive safety assessments are

1 done from the day of vaccination through 6 months after the
2 indexed surgery. These assessments include assessment of local
3 reactions and systemic events for 10 days via electronic
4 diaries, as well as adverse events from the time of informed
5 consent to the Day 42 postoperative evaluation.

6 Importantly, all serious adverse events from informed
7 consent to Day 180 postoperatively are obtained. And the
8 safety data has been monitored and will continue to be
9 monitored by an external DMC, which has currently recommended
10 that the trial continue as recently as this month.

11 Now, having a fundamental understanding of the STRIVE
12 study itself, it's important to consider how these results can
13 be applied to other elective orthopedic surgeries, and I will
14 cover three main topics to make this point. And these are also
15 described in detail in your briefing document.

16 First, that the pathogenesis of *Staph aureus* infection is
17 similar across elective orthopedic surgeries; second, that
18 patient demographics and risk factors for developing *Staph*
19 *aureus* surgical site infection are similar to STRIVE; and
20 third, that there are commonalities and procedural risk factors
21 of orthopedic surgical site infections, all of which support
22 the idea that STRIVE results can be representative of efficacy
23 and safety expected across the elective orthopedic surgery
24 spectrum.

25 So, first, let's talk about the pathogenesis. There's a

1 common pathogenesis across elective orthopedic surgery types.
2 As I've already described, *Staph aureus* is the most common
3 cause of surgical site infection across elective orthopedic
4 surgery.

5 Patient colonization is the primary source of *Staph aureus*
6 inoculation for surgical site infections across orthopedic
7 surgery types. Infecting *Staph aureus* strains are not specific
8 to surgery type.

9 And the primary risk period for establishing surgical site
10 infection is during the procedure. This is the basis for the
11 success of perioperative antimicrobial prophylaxis, which is
12 well recognized to have its greatest utility when an
13 antimicrobial prophylaxis is used at the time of the incision.

14 And finally, most surgical site infections occur within 90
15 days after surgery, and this is a feature shared in common
16 across elective orthopedic surgeries.

17 And the nature of the antibody response is again
18 illustrated on this graph for the CP5 opsonophagocytic antibody
19 assay, shows that antibody will be present in large amounts at
20 the time of greatest risk and will be sustained through the
21 period of risk to provide protection.

22 So with a common pathogenesis, the antibody response is
23 likely to provide protection across elective orthopedic
24 surgeries and be similar to that demonstrated in STRIVE.

25 In addition, the patient demographics and risk factors for

1 developing *Staph aureus* surgical site infection are similar to
2 STRIVE. Shown on this slide are demographics and risk factors
3 for some common orthopedic procedures such as primary total
4 knee arthroplasty, hip arthroplasty, and spinal surgery, with
5 or without implants, compared to the STRIVE population.

6 And I think you can see that whether we're talking about
7 age, gender, or race, there are commonalities in relationship
8 to these specific demographic factors across the elective
9 orthopedic surgery populations and the STRIVE population.

10 Likewise, when we look at risk conditions, including
11 diabetes, smoking, chronic obstructive pulmonary disease,
12 congestive heart failure, or peripheral vascular disease,
13 there's a great deal of overlap in the risk factors associated
14 with orthopedic populations, such as arthroplasty or the
15 overall spinal surgery and the STRIVE population.

16 And, finally, if we look at body mass index and the
17 American Society of Anesthesiologists scoring of individuals,
18 you can see that these populations share features in common
19 with STRIVE. So the demographic features in STRIVE should be
20 representative of those seen in other elective orthopedic
21 surgery populations.

22 There are also commonalities in the procedural risk
23 factors of elective orthopedic surgical site infections.
24 Looking here at knee arthroplasty, hip arthroplasty, and spinal
25 surgery compared to STRIVE, you can see that in every case the

1 dermis is first breached, and there's dissection between the
2 muscles, tendons, and nerves to reach joints and bones.

3 These surgeries can involve implanted materials, including
4 metal alloys, plastic, ceramic, bone cement, and bone grafting
5 material. Implanted materials are associated with a higher
6 risk in infection and absence of implanted material with a
7 lower risk. Importantly, the incision length can vary from 2
8 to 12 inches with spinal surgery representing the top end of
9 the spectrum. And it's well recognized, again, that the length
10 of the incision and the size of the operative field is directly
11 related to the risk of infection.

12 So the risk is likely greatest, as reflected by the attack
13 rates in the spinal surgery population within the spectrum of
14 infection in orthopedic surgery. So it's reasonable to presume
15 that if the vaccine can work in this most stringent
16 circumstance, it's likely to work when the incision is shorter.

17 The same thing applies to mean operative time. Spinal
18 surgery tends to take a longer period of time on the table than
19 the other types of surgery within the spectrum of all
20 orthopedic surgery, again representing the most stringent test
21 of efficacy. So the expectation would be that if the vaccine
22 can protect those individuals that have spinal surgery with a
23 long operative time, it should protect individuals undergoing
24 other types of orthopedic surgery with shorter operative times.

25 The commonalities and procedural risk factors of

1 orthopedic surgical site infection predicts that efficacy and
2 safety seen in STRIVE should be representative of that seen in
3 other elective orthopedic populations.

4 So let me summarize our thinking for you. The STRIVE
5 population is representative of elective orthopedic surgery
6 patients because the pathogenesis of the *Staph aureus* infection
7 is similar across elective orthopedic surgery types, the
8 patient demographics and risk factors for developing *Staph*
9 *aureus* surgical site infection are similar to STRIVE, and there
10 are commonalities and procedural risk factors of orthopedic
11 surgical site infections.

12 Therefore, the balance of scientific evidence supports
13 that it is reasonable to assume that efficacy and safety
14 demonstrated in STRIVE should be representative of the efficacy
15 and safety that would be expected in all elective orthopedic
16 surgery patients.

17 Now, let me finish my presentation by describing how the
18 STRIVE study fits into the overall clinical development
19 program. Shown here in blue are the studies that have been
20 completed in the nonsurgical population to demonstrate safety
21 and immunogenicity of the vaccine, that then encouraged us to
22 move forward into the STRIVE population to demonstrate efficacy
23 in a targeted orthopedic surgery population. Note the numbers
24 of nonsurgical subjects receiving the vaccine in these early
25 phase trials represent a subset of all individuals who have

1 been exposed to antigens contained in the vaccine.

2 Shown in green are the anticipated 3,000 subjects who have
3 undergone surgery and have received the vaccine as part of a
4 Phase III STRIVE trial.

5 In addition, we plan to conduct another Phase III clinical
6 lot consistency study to demonstrate manufacturing consistency
7 of the vaccine, which is a requirement for licensure. This
8 will enroll over 2,000 nonsurgical subjects to receive the
9 vaccine, resulting in a safety database of over 5,000 subjects,
10 of whom 3,000 will have received the vaccine in the STRIVE
11 study. We maintain that this information, combined with the
12 safety and efficacy demonstrated in the STRIVE population, will
13 be sufficient for licensure.

14 So let me return to the Pfizer proposal once again. There
15 remains a significant need for a vaccine to prevent *Staph*
16 *aureus* infections in patients undergoing elective orthopedic
17 surgery. In this context, we again ask the Advisory Committee
18 to assume that the STRIVE study has demonstrated that the four-
19 antigen *Staph aureus* vaccine has acceptable safety and efficacy
20 in adults.

21 Then, based on all the information that I've shared with
22 you today, as well as the information included in your briefing
23 document, we maintain that the STRIVE results should be
24 representative of safety and efficacy in elective orthopedic
25 surgical populations 18 years of age and older and should

1 support the following indication: Active immunization for the
2 prevention of postoperative invasive disease caused by
3 *Staphylococcus aureus* in adults 18 years of age and older
4 undergoing elective orthopedic surgery.

5 We look forward to the Committee's consideration and
6 response to this proposal, and I thank you very much. That
7 concludes my presentation, and I, along with my Pfizer
8 colleagues and invited consultants, would be happy to entertain
9 any questions from the Panel.

10 DR. EDWARDS: Thank you, Dr. Gruber.

11 I think we should go ahead with the questions, and we can
12 cut our lunch short if we need to, but I think we need to go
13 ahead with the questions.

14 Dr. Lynfield, please.

15 DR. LYNFIELD: Thank you.

16 I have a couple of questions for you about the trial, and
17 I'm wondering, are you -- are the patients that are entering
18 the trial having swabs done for colonization, and are you
19 recording the decolonization regimens that they're on? I have
20 a number of questions. I'll start with that.

21 DR. B. GRUBER: So yes and yes, that's the quick answer.
22 So yes, we are capturing colonization before, during the trial,
23 and after the individuals are immunized and after their
24 surgery. We're also capturing not only decolonization efforts
25 in terms of use of mupirocin and chlorhexidine, but

1 antimicrobial prophylaxis, the use of intra-wound vancomycin.
2 So a whole of the full spectrum, again, randomized by site so
3 that we would have a pretty good perspective in terms of
4 recognizing, as you've heard, that the infection control
5 practices differ from site to site.

6 DR. LYNFIELD: And then we heard earlier, from the Klevens
7 study, that increased age and black race are associated with an
8 increased risk for invasive MRSA infection. I am wondering, I
9 saw the data, that it captures the data of people who are
10 undergoing these procedures, but will you have enough data in
11 those subgroups?

12 DR. B. GRUBER: Yeah. So, again, of course, the study
13 won't be powered to demonstrate efficacy within the individual
14 subgroups, particularly within minority groups that represent a
15 smaller percentage. But we also are obtaining immune responses
16 in all the individuals in the study, so we will, in fact, have
17 antibody responses across the full spectrum, and obviously, it
18 enriches our ability to discriminate any potential differences
19 that could be associated with a lack or -- of efficacy or with
20 efficacy.

21 DR. LYNFIELD: And Dr. Otto had told us that there are
22 different strains globally, as well as USA300 doesn't produce
23 capsule. I'm wondering if there are plans to characterize the
24 *Staph aureus* isolates from infections that might occur.

25 DR. B. GRUBER: So I anticipated that you would ask that

1 question in particular, since we know you published in that
2 area. So yes, so let me --

3 (Laughter.)

4 DR. B. GRUBER: Let me first of all say that yes, by our
5 estimates, and I know estimates vary, we anticipate about 10%
6 of isolates by USA300. Whatever that percentage is, they will
7 be captured as part of the study. I think it is worthwhile,
8 again, in that context, to remember that what we're asking the
9 Committee to do is to assume that we have efficacy, and
10 whatever efficacy we see incorporates whatever data that we
11 have with USA300, and we would have to be satisfied, we and the
12 FDA would have to be satisfied that that's been demonstrated
13 such that it could be translated to suggest that we would see
14 efficacy in other elective orthopedic surgery populations.

15 DR. LYNFIELD: Thank you.

16 DR. EDWARDS: Dr. Gruber, I worry a little bit about
17 having so many different sites with so many different
18 orthopedic surgeons with probably so many different preferences
19 in terms of what they do. And so how rigid are your criteria
20 for enrollment in the study? I mean, is that one of the
21 reasons why it's difficult to enroll people, because it is so
22 strict and will this -- will you be able to sort all these
23 factors out?

24 DR. B. GRUBER: Yeah. So I think, first of all, let me
25 come back to the slide, maybe we can bring up the slide that

1 speaks to the demographic factors because I think it comes,
2 really, to what you heard from Dr. Errico, I think, is the more
3 compelling reasons around why it's been difficult to bring
4 individuals into the study.

5 It doesn't really relate to underlying conditions. It
6 relates to their willingness to say, gee, in addition to having
7 to contemplate having major surgery, and you saw some of that
8 major surgery where it involves the whole spine, I have to sort
9 of think about now do I want to have my nose swabbed, do I want
10 to have, you know, blood taken, and do I want to have this
11 long-term follow-up in a context of a vaccine, which we
12 obviously, at this point, don't know whether it's going to be
13 effective or not.

14 I think if we can show Slide 3. Again, this just
15 represents that I think we're pretty confident, if you look at
16 the STRIVE population, that we're getting a representation of
17 underlying conditions, and so we will have -- I mean, clear
18 within the total number of cases, we may not have the ability
19 to discriminate, based on underlying conditions, the nature of
20 efficacy for those cases, but we may have sufficient numbers,
21 particularly for some of the more common conditions like
22 obesity and diabetes to help discriminate the potential for any
23 differences, and we wouldn't expect any based on our
24 understanding of immune response.

25 But we will also, obviously, have immune response as part

1 of the trial to look at. So going in that context, we can look
2 at potential measures that would predict the likelihood of
3 efficacy and whether we see differences, and we've done studies
4 within populations and include risk conditions, and we don't
5 see major differences in terms of the antibody responses that
6 we're seeing.

7 So I think, all told, recognizing that there will be
8 differences in terms of approaches to how individuals are
9 handled in individual sites, I think, across the course of the
10 study, we will have enough information about demographic
11 features to be able to look and see how that influenced
12 potential efficacy, and we will obviously look for site-
13 specific effects.

14 DR. EDWARDS: But I guess the question about how rigid
15 your criteria are, does everyone have the same prophylaxis,
16 does everyone, you know, have the restriction of these beads or
17 the cement or --

18 DR. B. GRUBER: Yeah.

19 DR. EDWARDS: -- all of these other factors? Will those
20 be so diverse in terms --

21 DR. B. GRUBER: Yeah.

22 DR. EDWARDS: -- of the numbers that you'll be able to
23 make --

24 DR. B. GRUBER: Yeah.

25 DR. EDWARDS: -- some sense out of it?

1 DR. B. GRUBER: I think, you know, obviously, we won't
2 know for certain until we get to the end, but the way we
3 planned this study is recognizing that, you know, the purpose
4 of this vaccine is to add to the current standard of care. And
5 obviously, when we're talking about potentially going to, you
6 know, try to identify 100 sites, going to 1,700 investigators,
7 it's very difficult to say we want you to change your standard
8 of care for the individuals involved in that trial; I don't
9 think that's appropriate.

10 So we are capturing all of that information. Again, there
11 is randomization by site, so we would expect to have equal
12 numbers of subjects, you know, undergoing particular types of
13 procedures by site and across the study, and in the end, we'll
14 just analyze that. And I would say that, for the purposes of
15 the discussion today, all of that analysis would figure in to
16 confidence in terms of the safety and the efficacy of the
17 vaccine, and in that setting, is it appropriate to extrapolate.

18 DR. EDWARDS: Dr. Long and then Dr. Kotloff.

19 DR. LONG: First, a pseudo-question about safety. Is
20 there anything about this vaccine, I would imagine it might be
21 the manganese transporter, that has any similarity to the iron
22 surface determinant that would make us think that the V710
23 findings might be reproduced?

24 DR. B. GRUBER: Yeah. So I think, obviously, we paid
25 great attention to the Merck experience. The four-antigen

1 *Staph aureus* vaccine shares no antigens in common with the
2 iron-binding surface determinant of the Merck vaccine. And the
3 other thing, too, is that it's worthwhile to remember that
4 you've heard a lot of discussion here about the nature of
5 opsonophagocytosis, and I think it's important to be able to
6 discriminate what we mean when people are talking about that.
7 There are two particular key aspects; one is the uptake of the
8 organism, and the other is whether the organism is actually
9 killed. There is no evidence to suggest that the IsdB protein
10 in the Merck vaccine actually led to killing. It did appear to
11 lead to potential uptake, and some have postulated that in the
12 setting of uptake without killing, that may have been, in at
13 least one hypothesis, that may have been part of what led to
14 multi-organ failure that was seen in that circumstance.

15 In our circumstance, the capsular polysaccharides
16 basically produce functional antibody that not only leads to
17 uptake by phagocytic cells but actual killing. So that
18 provides some confidence. And then we have functional assays
19 that demonstrate functional activity that blocks the manganese
20 transporter protein as well as that blocks adherence from
21 Clumping factor A.

22 So there's some, I think, discrimination between failures
23 in the past, and I think that's why it's important not to, you
24 know, prejudge the nature of descriptions of antibody responses
25 in the past to what we're talking about because we have

1 incredibly robust responses with functional activity.

2 DR. EDWARDS: Dr. Kotloff. Oh.

3 DR. LONG: I have a follow -- another --

4 DR. EDWARDS: Go ahead, Dr. Long.

5 DR. LONG: Is yours in follow-up or is yours --

6 DR. KOTLOFF: No, go ahead.

7 DR. LONG: The second one was transportability of the
8 results to other orthopedic surgical procedures. I assume
9 instrumented surgery means there are plates and screws rather
10 than you didn't use retractors and knives and things; is that
11 right?

12 DR. B. GRUBER: Yeah, so --

13 DR. LONG: Instrumented surgery, exactly.

14 DR. B. GRUBER: Yeah, I mean, these are individuals, and
15 there are precise definitions, and we can actually, you know,
16 speak to the nature of what's included and what's not. But
17 yes, you know, as you heard from here, it's basically metals,
18 it's screws, it's much of what you saw in the pictures that
19 were, you know, shown by Dr. Errico. So these are things that
20 are, you know, basically implanted and left in.

21 DR. LONG: Now, on children, we frequently use bone chips
22 and grafts.

23 DR. B. GRUBER: Yeah, bone grafts --

24 DR. LONG: So this --

25 DR. B. GRUBER: Yeah, bone --

1 DR. LONG: Are there bone grafts and --

2 DR. B. GRUBER: Yes.

3 DR. LONG: -- things also --

4 DR. B. GRUBER: Yes.

5 DR. LONG: -- in that spinal?

6 DR. B. GRUBER: Yes, there can be. Yes.

7 DR. LONG: Okay. And then the other transportability
8 question is the hip and this knee, they're clearly
9 predominantly joint surgeries, and I imagine the antibody in
10 the joint fluid has something to do with something, and I know
11 that's not close to serum antibody concentration. So what --

12 DR. B. GRUBER: Well, actually --

13 DR. LONG: -- can you share about that?

14 DR. B. GRUBER: Yeah, yeah. So let me share with you,
15 sort of, several lines of evidence that suggest, whether you're
16 dealing with diarthrodial joint or whether you're dealing with
17 the spine, that the nature of what we see in terms of efficacy
18 for the STRIVE population should be predictive.

19 The first is, is that remember that, you know, we're
20 talking about the nature of the *Staph aureus* actually gaining
21 access all the way down to the joint, so it's really got to go
22 through the gauntlet of all the well-vascularized tissues that
23 have serum with antibody presumably from the vaccine at that
24 point interdicting.

25 The second thing is that we've actually done studies

1 looking at antibody in the joint of individuals undergoing a
2 surgical procedure and compared that to serum levels, and
3 what's interesting is there appears to be a linear
4 relationship, so the higher the amount of antibody that's
5 there, the higher the amount that's in the joint. And it
6 amounts to, actually, a nontrivial amount. It's at least 30%
7 or so of the total amount of antibody. And when we're talking
8 about log increases, there are two log increases that still
9 indicate that you have a large amount of antibody.

10 Now, if you add to that the nature of the fact that these
11 are not normal joints to begin with, that's why the surgery is
12 being done, so there's often an inflammatory response already
13 there, so you've already got, sort of, the makeup of the
14 necessary sort of phagocytic cells that could essentially help
15 antibody work successfully.

16 And I'll just add one other thing, and maybe I could ask
17 Dr. Parvizi to speak to this, is that, you know, this is not a
18 bloodless surgery, right? You know, blood and serum is getting
19 into the joint at the time of surgery and presumably with
20 antibody on board. I mean, we can just -- I guess,
21 Dr. Parvizi, you can either say yes or no to that, and I think
22 that's true.

23 DR. PARVIZI: Yes.

24 DR. B. GRUBER: He says yes.

25 DR. EDWARDS: Perhaps not his surgery, but I don't need

1 the surgery.

2 (Laughter.)

3 DR. LONG: Sure, thanks.

4 DR. KOTLOFF: So you proposed that the different surgeries
5 have a similar pathogenic mechanism, and that's basically
6 direct inoculation. I'd like to hypothesize that there may be
7 two mechanisms of infection. One is direct inoculation, but
8 there also may be a proportion of patients who have bacteremic
9 inoculation. That's the pathogenesis of pediatric
10 osteomyelitis, some -- you can have direct inoculation and you
11 can have bacteremic spread in inoculation, often in an injured
12 area of the bone.

13 And I think that there may be two risk periods for
14 bacteremic spread. One may be in surgeries that are more
15 traumatic to patients and so they end up in an ICU, maybe
16 afterwards, or a recovery room with lots of tubes and
17 catheters.

18 And then perhaps the other risk period is a little bit
19 later on because I think when you have *Staph* in your body, it's
20 such a virulent organism that it tends not to be indolent,
21 lying, you know, hidden in a surgical site, but that maybe at a
22 later time you'll have one of these bacteremias and you end up
23 with a surgical site infection.

24 DR. B. GRUBER: Right.

25 DR. KOTLOFF: And I'm wondering, with the question of

1 equivalence, are the different surgeries equal in terms of the
2 pathogenesis, if these two mechanisms exist, and is the vaccine
3 expected to be equally efficacious for these two mechanisms of
4 spread?

5 DR. B. GRUBER: Yeah. So actually, let me take that
6 question in two parts, if I can. First of all, as I sort of
7 already described, the epidemiology supports that the
8 infections are heavily weighted on the front end associated
9 with the incision, and again, 75% occurring within the first 90
10 days.

11 And if you actually look at that, the bulk of those
12 infections actually occur within the first 30 days. So one can
13 presume that, again, that really relates to that incident
14 event. It is true that one can have catheters, other things,
15 you know, vascular access put in at the time of surgery, but
16 typically, that's in transient. And again, those things are
17 being put in much of the same time we're talking about the
18 nature of the incision being made when antibody would be on
19 board, presumably helping guard against not only the infection
20 of the joint, let's say, but also an intravascular access
21 device.

22 And I think, you know, happily -- and I'll let, perhaps,
23 Dr. Richardson speak to the nature of spinal surgery and how
24 often implanted devices, you know, in terms of vascular access,
25 other types of access, is used and then maybe ask Dr. Parvizi

1 to comment on that as well.

2 DR. RICHARDSON: Bill Richardson, orthopedic surgeon at
3 Duke University.

4 So it really varies, but you know, as indicated, for spine
5 surgery generally, if you're going to have a central line or
6 other vascular access or a catheter, it's placed at the time of
7 surgery, so before the skin incision. So similar to pre-op
8 antibiotics, all those would be placed before the skin
9 incision. And if the patient goes to the unit, it's usually
10 with a catheter that was placed at the time of surgery as
11 opposed to later.

12 DR. B. GRUBER: And just to make a comment about the
13 timing once the intravascular access is put in, how long it
14 typically remains and that sort of thing.

15 DR. RICHARDSON: Yeah, so I mean, routine for us is to get
16 the catheter, pull the catheter out within 48 hours, and that's
17 pretty standard for most surgeries. And then central access is
18 gotten out as soon as we can and try to stick with peripheral
19 access, if possible.

20 DR. KOTLOFF: Is there any association between duration of
21 vascular access and surgical wound infections?

22 DR. RICHARDSON: I'm not aware of any, I mean, so you
23 know, generally bigger cases take longer, so we've talked about
24 the fact that longer cases, more blood loss, longer incisions
25 tend to have a higher incidence. Those tend to be the cases

1 that would have central access also.

2 DR. PARVIZI: I agree with your hypothesis or theory.
3 There is the bacteremic infections that occur late, and there
4 are those that occur at the time of surgery, as you know, the
5 so-called acute hematogenous infections that we see later on.
6 But a majority of the infections that occur first in the 90
7 days are as a result of direct inoculation during surgery, but
8 it doesn't mean that later bacteremic events can't occur.

9 DR. B. GRUBER: Dr. Errico, you're raising your hand; you
10 wanted to just make a brief comment?

11 DR. ERRICO: I think I just wanted to make a comment that
12 might be more direct to what you were asking. So in terms of,
13 first of all, late hematogenous spread is very rare in spine
14 surgery. I wrote a paper on this back in the 1980s; we were
15 only able to find four cases spread over four medical centers.

16 But I want you to think of what spine surgery is like
17 compared to the total joint, which we're trying to make that
18 extrapolation. We're like a car that's going to be driven at
19 80 to 100 miles an hour. Our hospitalizations are longer,
20 those catheters are going to be in longer. Late
21 re-instrumentation of -- I should say re-catheterization will
22 occur more often in a spine patient than in a total hip patient
23 on the order of about two to one. So I think if it works in
24 spine surgery, the car will go at 40 miles an hour easily.

25 DR. B. GRUBER: Let me just say actually, just to round

1 out the discussion on this point, we are capturing, as you saw,
2 as part of the criteria of bloodstream infections, but that
3 includes circumstances where the source of the infection could
4 be an intravascular catheter. So that has the capability, of
5 course, to be analyzed in the context of the overall efficacy.
6 And I come back to the idea that all of this would be analyzed
7 with respect to the overall efficacy of the vaccine as well as,
8 obviously, the safety information that would then inform the
9 STRIVE population being representative for all elective
10 orthopedic surgery.

11 DR. KOTLOFF: Do you think the efficacy of the vaccine
12 will be equivalent with those two mechanisms of infection?

13 DR. B. GRUBER: Yeah, I think so. I think the nature,
14 given what you're hearing about the timing of when the
15 intravascular access is placed and the desire of, like, I
16 think, both the individuals working with diarthrodial joints,
17 as well as the spine, to get that access out. I think, you
18 know -- and again, it's comparable, right? So the nature of
19 the risk is comparable. I think that that already helps reduce
20 the likelihood. As you've heard, it seems to be a very
21 uncommon event, anyway, but further reduces that as a potential
22 risk and, you know, I think the vaccine could help.

23 DR. EDWARDS: I think we have a question from Dr. Levy,
24 which I'll address, and then several other people. I think our
25 questions need to be succinct and the answers as well.

1 So Dr. Levy said, is the vaccine formulated with an
2 adjuvant? Does the MntC lipoprotein have adjuvant activity,
3 perhaps as a TLR2 agonist? And do the immunogenicity data for
4 the vaccine -- or what are the immunogenicity data for the
5 vaccine with regard to T-cell responses? So I guess that's not
6 very succinct, but I --

7 DR. B. GRUBER: Yeah, yeah. All right. So let me -- you
8 know, I'll try to capture some of that. The first one is that
9 the vaccine is not adjuvanted. You know, the notion here is
10 that all of us have a lifetime of exposure to *Staph aureus*,
11 which constitutes a great paradox, right, because I showed you
12 the fact that we, despite that lifetime exposure, don't have
13 functional antibody on board to any of these antigens, you
14 know, if we look at that placebo population represented from 18
15 to 85 years of age. But nonetheless, when we stimulate with
16 the antigens and the vaccine as a single dose, we see that.
17 I'll actually ask Dr. Liesa Anderson, who is our chief science
18 officer for bacterial vaccines, to speak to whether there are
19 adjuvant properties associated with the transporter protein.

20 DR. EDWARDS: And then also the T-cell quickly.

21 DR. B. GRUBER: Yeah.

22 DR. ANDERSON: Very quickly, thank you. Annaliesa
23 Anderson, Pfizer Vaccines research.

24 So regarding whether or not an MntC is lipidated, it's
25 naturally a lipoprotein, and I'm sure Dr. Levy may have seen

1 some of our work on other lipidated vaccines that do have
2 agonist activity. However, MntC is expressed without a
3 lipidated tail, so it's not expected to have that activity.

4 And then the other question regarding have we seen T-cell
5 responses, we did a study with Dr. Buddy Creech at Vanderbilt
6 University to look at whether or not subjects vaccinated with
7 assay 480 vaccine elicited T-cell responses, and we found that
8 they did not.

9 DR. EDWARDS: Okay, Dr. Kirkpatrick.

10 DR. KIRKPATRICK: How do you get from spine and joint to
11 all of orthopedic surgery? That's as concise as I can make
12 that question.

13 DR. B. GRUBER: Well, actually, I may ask you to be a
14 little bit less concise, so what -- tell me the root of your --

15 DR. KIRKPATRICK: Your premise is --

16 DR. B. GRUBER: Yeah.

17 DR. KIRKPATRICK: -- that you want to go from spine and
18 joint to all elective orthopedic surgery.

19 DR. B. GRUBER: Right.

20 DR. KIRKPATRICK: I'm asking how can you make that jump?

21 DR. B. GRUBER: So I think that it basically rests on the
22 arguments that we've already laid out for you today, that the
23 nature of the pathogenesis is the same, the nature of the
24 demographics are the same, so the efficacy that we would expect
25 to see in the STRIVE population would be expected in the other

1 populations because the nature of the demographics being the
2 same, the immune response that conferred that efficacy is
3 likely to be the same, and the nature of the procedures in
4 terms of the things that constitute risk with the STRIVE
5 population being at the highest end of the spectrum would
6 predict that if we're successful in that population, we should
7 be successful in the others.

8 DR. KIRKPATRICK: I would ask my Chair, should I reserve
9 my comment to that to the discussion later?

10 DR. EDWARDS: I think probably yes, that you should, thank
11 you.

12 So questions of content. Dr. Stephens and then --

13 DR. STEPHENS: So my question is a follow-up to your
14 T-cell response. Is there any memory induced by this vaccine?

15 DR. B. GRUBER: Yes. I think you can sort of get some
16 information based on that based on the nature of the length of
17 the immune response that we've seen. We have been able to give
18 a booster dose over a relatively short interval. It turns out
19 that that interval was probably too short to specifically
20 constitute demonstrating a boost response. There is the
21 potential, obviously, as we look longer to demonstrate
22 establishing memory.

23 But we know, for instance, that conjugated
24 polysaccharides, based on other experience, are very good at
25 stimulating memory, and we would expect the same, certainly,

1 for those compounds.

2 DR. STEPHENS: So you would expect to see an anamnestic
3 response with a re-vaccination or --

4 DR. B. GRUBER: Yeah. Right, because we're actually
5 seeing what we think is an anamnestic response even with the
6 primary immunization.

7 DR. STEPHENS: And a natural infection?

8 DR. B. GRUBER: I think the key question is the interval.

9 DR. STEPHENS: And a natural infection?

10 DR. B. GRUBER: I'm sorry, say that again? Oh, with a
11 natural infection. Yeah, I think that's a reasonable
12 presumption as well, but the goal here is really not to get to
13 that point; the goal is really to intercept it with existing
14 antibody right at the time of surgery to prevent any downstream
15 consequences.

16 I want to just come back to the -- again, because there
17 have been some questions about cell -- you know, cell-mediated
18 immune response, Th17 response and the like. Again, just to
19 re-center around the idea that, as Dr. Anderson pointed out to
20 you, we don't stimulate Th17 responses or IL-1 responses or Th1
21 type of responses.

22 But it's important to understand that in the end, we will
23 have efficacy or not in the STRIVE population. If we have
24 efficacy regardless of what the immune response was, that
25 accounted for that efficacy and the expectation is, given the

1 nature of the other populations because of the demographic
2 characteristics and the pathogenesis, that should be predictive
3 in those populations. So I didn't want to leave that hanging.

4 DR. EDWARDS: Dr. Blackstone and then Mr. Toubman and then
5 Dr. Greenburg.

6 DR. BLACKSTONE: Yes. Let me turn to operational
7 challenges that you presented on Slide 17 and then extrapolated
8 to 16. You say that you're enrolling less than one patient per
9 month per site, and I'm wondering if the rate-limiting step is
10 the rarity of the instrumented spinal operation, or is it
11 recruiting of patients?

12 DR. B. GRUBER: It's really the recruitment of the
13 patients. As you sort of heard from Dr. Errico, he's been only
14 able, within the group of patients that would otherwise be
15 eligible, only been able to recruit about 1 in 10 of those
16 individuals. That may vary from site to site, but it's not due
17 to the rarity of the denominator of the substrate; it wouldn't
18 go to -- it's the ability to get these individuals into a study
19 and, you know, again, the reasons that Dr. Errico mentioned.

20 DR. BLACKSTONE: Okay, so 1 in 10 is actually not terrible
21 for a surgical recruitment. That's about what we get or, you
22 know, unless people are really interested in it. But if you
23 even went to 1 in 10 and then had done instead joints, which
24 are far more prevalent and gotten the same, you might have
25 recruited a whole lot faster. That's why I don't understand

1 your extrapolation on Slide 16, where you said it would take
2 more than 10, and I think your material pointed to this.

3 DR. B. GRUBER: Yeah. So let's just take a look at that,
4 if we can, just take a look and I'll be brief. Again, there
5 are two things that figure into this slide in terms of the
6 length of time, is one is just the nature, we're talking about
7 populations, you know, that are four to six times greater than
8 we need to recruit. So automatically that begins to work
9 against us, right?

10 And then, recognizing what you suggested, it is
11 conceivable we might be able to recruit faster. By our best
12 estimates, based on the experience that we have with the study
13 we have in hand, even at twice the rate that we're currently
14 recruiting, it would take us, you know, more than 10 to 15
15 years. And again, you've heard -- this is actually a pretty
16 extraordinary undertaking to actually go into a population
17 that's anticipating surgery and be successful in recruitment.

18 DR. EDWARDS: Okay. Mr. Toubman, please.

19 MR. TOUBMAN: Yes, thank you.

20 I have a question about that slide as well, in conjunction
21 with Table 6 of the briefing document, but before that, I have
22 a big picture question, which is, really, why are we here now?
23 What I mean by that is, why wouldn't you just wait until you
24 see if the thing is safe and effective in this group you're
25 testing? And if that's the case, I think that's the normal way

1 with the FDA, you bring it forward, and you ask for a broad
2 labeling based on the arguments you're making.

3 Why is it that it makes it -- what difference does it make
4 to Pfizer if you get this go-ahead now or you get it later, and
5 if you were to get a statement now that it's not a good idea to
6 broaden it, will you behave any differently?

7 And then related to that, I know it's kind of a tough
8 question, but I'm saying --

9 DR. B. GRUBER: No.

10 MR. TOUBMAN: -- how is Pfizer's financial interest
11 benefited by getting the answer to that question now? So I'd
12 like you to answer those questions; then I'll ask my quick
13 question --

14 DR. B. GRUBER: Right. So, first of all, let me say, I
15 mean, it's an obvious question, I mean, why -- you know, a
16 typical sort of setting for a VRBPAC meeting is at the time to
17 review data on safety and efficacy. But for us to be able to
18 move forward successfully, we really need to understand what's
19 going to be required and what indication might exist at the
20 end.

21 I think, to couple that with your latter question, based
22 on what we presented today and discussed, in the context of a
23 successful STRIVE study in terms of efficacy and safety,
24 Pfizer's position is that application of the four-antigen
25 vaccine indication in elective orthopedic surgery is

1 scientifically sound, and additionally, this broader indication
2 would benefit public health to a tremendous degree.

3 If, in the end, the Committee advises against expansion
4 beyond the current population under study in STRIVE, our next
5 step would be to discuss the outcome of today's meeting with
6 the FDA while we continue the STRIVE study to its interim
7 analysis point. Pfizer would then need to assess the resources
8 required to continue the current program if it only offered
9 such limited applicability.

10 So as I said in my core presentation, we're committed to a
11 study of 6,000 subjects in the STRIVE population that would
12 give an indication for broad elective orthopedic surgery. And
13 we need feedback from this Committee as to whether the
14 Committee considers it reasonable to be able to have that
15 extrapolation so we can engage in the right sort of discussions
16 with the FDA.

17 MR. TOUBMAN: Well, as I understand your answer, though,
18 you're suggesting that if this Committee were to say no, we
19 don't think it should be expanded, the approval should be
20 limited to these kinds of surgeries, you're suggesting that you
21 would consider terminating the STRIVE study. That's what you
22 basically just said, right?

23 DR. B. GRUBER: That's one possible outcome, but we would
24 need to obviously engage in discussions, obviously, with the
25 FDA taking into account full opinions around the table to

1 determine what the best path forward would be. But yes, that
2 is one potential outcome.

3 MR. TOUBMAN: Okay. And my question on Slide 16 read in
4 conjunction with Table 6 of your briefing document. Slide 16
5 has these figures that show substantial difference in infection
6 rate of 1.4 for this group, for STRIVE, versus much lower for
7 the other surgeries. But if you look at Table 6, actually,
8 that's a little misleading because that's only the data
9 on -- Slide 16 was only about invasive infection. Just look at
10 regular infection from *S. aureus*. It's very similar. If you
11 look at primary spinal fusion, you got 84,000 cases and 0.85%
12 occurrence of *S. aureus*. If you look at hip arthroplasty, you
13 have 83,000, very similar, and 0.77%. So I'm a little confused
14 why this slide talks about the infection rate being so
15 different, when if you just look -- I guess the question is --

16 DR. B. GRUBER: Yeah.

17 MR. TOUBMAN: -- why can't you do a study of these other
18 things which would require far fewer subjects if you're only
19 looking at total infections as opposed to invasive infections?
20 And certainly, wouldn't that be relevant for safety purposes?
21 You don't need invasive results, invasive infections, in order
22 to do a study of safety, and what I'm suggesting is, if you
23 actually look at just total infections, you could do a far
24 smaller set of subjects, which would be far less than 10 years
25 that you've been talking about; is that right?

1 DR. B. GRUBER: Yeah. So I think a key consideration is
2 where the greatest medical benefit is likely to occur, right?
3 That's part of the decision making in terms of the nature of
4 how we decide on endpoints, and it's quite clear that the
5 greatest benefit is likely to be in association with being able
6 to prevent the invasive types of disease and deep wound
7 infections, the types of things that led to these sorts of
8 complications. So we consider that as the most valuable
9 endpoint.

10 It's important to recognize that inclusion of any sorts of
11 other populations where the attack rate is lower, even if it's
12 marginally lower, to be able to get to an answer in a
13 reasonable period of time either runs the risk of expanding the
14 subject numbers so much that we, you know, basically delay the
15 ability to get to an endpoint or worse, you delete your -- you
16 dilute your ability to demonstrate efficacy within a specific
17 number, say 6,000 subjects, because now you're taking
18 individuals with lower incidence of disease that increases your
19 probability of a Type 2 error; in other words, essentially
20 failing to demonstrate efficacy when it really exists. So I
21 think, again -- and again, we've obviously engaged in
22 discussions with the FDA about the nature of the endpoints for
23 what was originally a Phase IIb study versus a Phase III study,
24 and it's been agreed that the proper endpoint -- endpoints are
25 the ones that we've identified in terms of primary infection.

1 DR. EDWARDS: Okay. Dr. Greenburg.

2 DR. GREENBERG: I'll make it quick, thank you. I have a
3 question about Slide 18 of yours. I was curious why it
4 appears, for the hospitalizations prevented, that the number or
5 the rate of hospitalizations versus the number of surgical
6 procedures seems to be higher for arthroplasty than spinal,
7 because I think you've already said several times that the
8 infection rate is higher in the spinal, overall, compared to
9 joint replacement.

10 So why is it that there appears to be a greater proportion
11 of hospitalizations? Do they get hospitalized more easily or
12 more readily than the spinal infections?

13 DR. B. GRUBER: Yeah, this is probably a question maybe
14 for -- best reserved for Dr. Parvizi. I mean, part of it
15 relates, obviously, to the total volume, so you can see that
16 obviously, in terms of absolute numbers, there are more
17 arthroplasties than there are spinal surgery. But you're
18 saying that the ratio, you think, is -- if you look at this
19 quickly, is somewhat different.

20 And I don't know, Dr. Parvizi, if you'd just comment, is
21 it more likely to have individuals that are undergoing
22 arthroplasty hospitalized if they get into trouble?

23 DR. PARVIZI: Yes. It has to do with the length of
24 hospitalization both after the elective arthroplasty, not just
25 spinal procedures, and when they develop the complications

1 afterwards.

2 DR. GREENBERG: Thanks.

3 And with regard to transferability to the other orthopedic
4 areas, so I have two questions related to that. One is, in
5 your clinical development program, have there been any or are
6 you planning any studies of the product in the orthopedic
7 populations? Even if it isn't, you know, outcomes based, like
8 these, are you planning any work at all?

9 DR. B. GRUBER: I think, again, we felt and maintain that
10 the nature of the STRIVE population being representative of the
11 other elective orthopedic populations, if we demonstrate
12 efficacy in STRIVE as well as safety, is sufficient for that
13 purpose. It's quite clear that we'd be engaged in discussions
14 with the FDA and HMOs in terms of taking available databases,
15 either public or in HMOs, post-licensure for a broad indication
16 and use that, as we've done for other vaccines, to look at
17 effectiveness and use. And so that would be, I think, the best
18 opportunity to demonstrate effectiveness across the entire
19 elective orthopedic surgery population.

20 DR. GREENBERG: Thanks.

21 And we didn't really talk much about safety, but -- so a
22 related question to that is could you remind us, so for the
23 CRM197, what is the dosage with this product versus your
24 pneumococcal conjugant vaccine?

25 DR. B. GRUBER: Yeah. So we can actually bring up the

1 slide that has the -- Slide Number 2, please. So this speaks
2 to the nature of the amount of -- and I think you were focusing
3 on the capsular polysaccharide conjugated to CRM, these are the
4 doses of those antigens versus the doses of Clumping factor A
5 and the manganese transporter.

6 DR. GREENBERG: What is the μg amount in Pevnar 13?

7 DR. B. GRUBER: Oh.

8 DR. GREENBERG: Oh, it's CRM197.

9 DR. B. GRUBER: Actually, I'd have to add it all up, but
10 it's -- yeah. Dr. Anderson has the answer in terms of Pevnar
11 13. I have to add it up.

12 DR. ANDERSON: It's a 1:1 ratio, so the *Staphylococcus*
13 *aureus* vaccine has a higher level at 60 μg whereas the covalent
14 is approximately 35.

15 DR. GREENBERG: Thank you. Great. Thank you very much.

16 DR. EDWARDS: Okay, so the final question will be from
17 Dr. Follmann.

18 DR. FOLLMANN: Thanks.

19 So I was also interested in Slide 16, and the idea there
20 seems to be that you're obliged to look at one population,
21 which would just be the hip surgery or spinal surgery. Did you
22 ever consider sort of a blended inclusion criteria possibly
23 with risk stratification of hip and knee surgery so you could
24 have a higher infection rate?

25 It seems odd to me that you would do a study in one

1 population when you could have a blended and, you know, more
2 broad inclusion criteria and study the people you want to
3 generalize to.

4 DR. B. GRUBER: Yeah. So I think the nature of these
5 populations, it's been hard to identify a population that is
6 accessible and doesn't have other confounding features in terms
7 of looking at hip arthroplasty or knee arthroplasty, that would
8 have a higher attack rate than the ones that we've represented
9 here.

10 And what I mean by that, for instance, you know, there was
11 some discussion about revision arthroplasty in that
12 circumstance. They're harder to come by. As you heard from
13 Dr. Parvizi, even in circumstances where people are doing a
14 revision, they don't think that they were necessarily infected;
15 in fact, they were, and that was really the basis for a
16 problem. So our vaccine is not a therapeutic vaccine. So
17 these, we feel, are the best estimates of a population we could
18 gain access to in terms of the attack rates that we would
19 encounter.

20 And that said, I'll just come back to the arguments, and
21 I'll try to be brief about this, that I said before, there are
22 really only two options in terms of, in our view, of how you
23 would incorporate these individuals. You would either say,
24 okay, within 6,000 subjects we take some of these folks in, but
25 then you basically dilute your overall attack rate and your

1 ability to have a Type 2 error essentially goes up.
2 Alternatively, you're faced with the prospect of adding
3 sufficient numbers to independently be able to demonstrate
4 efficacy in the subset, which if you don't enroll 25,000 to
5 40,000 subjects and enrolling, you know, a smaller proportion
6 of that, you again risk a Type 2 error or even worse with small
7 numbers, having a small number of cases that by chance alone
8 are split in the wrong direction. So either way, it really
9 doesn't help you in terms of enrolling those additional
10 subjects as far as an efficacy trial. And remember, even as
11 part of a safety trial, you're going to be obligated to look
12 for *Staph aureus* infection as an outcome measure.

13 DR. FOLLMANN: Just a follow-up comment. I mean, really,
14 this is an event-driven study, so you're going to go to 48
15 cases, and so, you know, saying you're going to cap it at 6,000
16 is -- could be inconsistent with the idea that you're going to
17 go 48 cases. If it's really 48 cases, you might have to enroll
18 6,500 or 7,000 to get it, which is what I presume you would do
19 given it's a case-driven study.

20 DR. B. GRUBER: Yeah. Yeah, I think our estimates are
21 actually -- and we obviously have a pretty good idea now in
22 terms of cases that are being acquired, that our estimate of
23 6,000 subjects is sound for a STRIVE population where we would
24 anticipate we could be successful in recruiting 48 cases within
25 that population.

1 DR. EDWARDS: Okay, thank you. There are no more
2 questions, and we will pause for lunch, but we will need to
3 come back at 1:30 so that we can get all of our discussion in.
4 So thank you so much.

5 (Whereupon, at 12:47 p.m., a lunch recess was taken.)
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

A F T E R N O O N S E S S I O N

(1:28 p.m.)

DR. EDWARDS: Okay, I'm going to go ahead and read the Open Public Hearing document. We're a little bit ahead, but I think it's important to go forward.

So the Open Public Hearing announcement for particular matters involving specific parties: Welcome to the Open Public Hearing session. Please note that both the FDA and the public believe in a transparent process for information gathering and decision making. To ensure such transparency at the Open Public Hearing session of the Advisory Committee, the FDA believes it's important to understand the context of the individual's presentation. For this reason, the FDA encourages you, the Open Public Hearing speaker, at the beginning of your written or oral statements, to advise the Committee of any financial relationship that may you have with the sponsor, its product, and if known, its direct competitors. For example, this financial information includes a sponsor's payment of your travel, lodging, or other expenses in connection with attendance at this meeting. Likewise, the FDA encourages you, at the beginning of your statement, to advise the Committee if you do not have any such relationships. If you choose not to address this issue of financial relationship at the beginning

1 of the statement, it will not preclude you from speaking.

2 Do we have any individuals that want to comment in the
3 Open Public Hearing?

4 (No response.)

5 DR. EDWARDS: Since there do not appear to be any
6 individuals who want to speak, then we will close the Open
7 Public Hearing, and then we will ask our next speaker, Dr. Tina
8 Mongeau, to present the FDA presentations.

9 DR. MONGEAU: Good afternoon. My name is Tina Mongeau,
10 and I'm a clinical reviewer in the Office of Vaccines. Oh,
11 sorry. Okay.

12 So the goals of my presentation today are to provide an
13 overview of *Staphylococcus aureus* surgical site infections, to
14 review the clinical development of *Staph aureus* vaccines to
15 date, to provide an overview of Pfizer's investigational *Staph*
16 *aureus* vaccine and their proposed clinical development plan,
17 and to discuss considerations for the clinical development plan
18 proposed by Pfizer for their tetravalent *Staph aureus* vaccine.

19 I'll start off by providing an overview of *Staph aureus*
20 surgical site infections.

21 While advances have been made in infection control
22 practices, surgical site infections remain a substantial cause
23 of morbidity and mortality. According to data reported to
24 CDC's National Healthcare Safety Network, surgical site
25 infections accounted for 36.4% of healthcare-associated

1 infections occurring between 2011 and 2014 in the United
2 States.

3 Overall, *Staph aureus* was the most frequently isolated
4 pathogen from surgical site infections at 20.7%. Of the *Staph*
5 *aureus* isolates causing surgical site infections, 43 to 45%
6 were resistant to methicillin.

7 *Staph aureus* can form a biofilm which predisposes it to
8 cause infections associated with surgical implants. Infections
9 associated with surgical implants are generally difficult to
10 manage because they require a long period of antibiotic therapy
11 and repeat surgical procedures.

12 The CDC categorizes surgical site infections as
13 superficial incisional, deep incisional, and organ/space
14 surgical site infections.

15 Superficial incisional surgical site infections manifest
16 within 30 days after the operative procedure and involve only
17 the skin and subcutaneous tissue of the incision.

18 Deep incisional surgical site infections manifest within
19 30 to 90 days after the operative procedure and involve deep
20 soft tissue of the incision; for example, the fascial and
21 muscle layers.

22 And organ/space surgical site infections manifest within
23 30 to 90 days after the operative procedure and involve any
24 part of the body deeper than the fascial or muscle layer that's
25 opened or manipulated during the procedure.

1 Current approaches to the prevention of surgical site
2 infections rely on infection control and perioperative
3 prevention. Infection control strategies broadly include hand
4 hygiene, contact precautions, and environmental infection
5 control.

6 With regards to perioperative prevention of surgical site
7 infections, the CDC has guidelines which include the following:

8 - Patient should shower or bathe on at least the night
9 prior to the procedure.

10 - Skin preparation in the operating room should be
11 performed using an alcohol-based agent unless contraindicated.

12 - During surgery, glycemic control should be implemented
13 using blood glucose target levels of less than 200 mg/dl and
14 normothermia should be maintained.

15 - Increased fraction of inspired oxygen should be
16 administered during surgery and in the immediate postoperative
17 period with only a few exceptions.

18 - Antimicrobial prophylaxis is recommended for surgical
19 procedures, and when given, it should be given at times such
20 that a bacterial concentration of the agents is established in
21 the serum and tissues when the incision is made.

22 - And screening and nasal mupirocin decolonization is
23 recommended for *Staph aureus* colonized patients prior to total
24 joint replacement and cardiac procedures.

25 Currently, there is no licensed *Staph aureus* vaccine

1 available.

2 Depending on the type and severity of the infection,
3 treatment options may include:

4 - Incision and drainage, antibiotics, and/or surgical
5 debridement.

6 - Implant-associated surgical site infections may
7 require one or more debridement procedure and
8 prolonged antibiotics to eradicate or control the
9 surgical site infection. The implant is typically
10 removed or exchanged.

11 Few antibiotics are available to treat MRSA infections.

12 Vancomycin has been the mainstay of parenteral therapy.

13 However, vancomycin-resistant and vancomycin-intermediate *Staph*
14 *aureus* isolates have been identified.

15 At this point, I'll now move on and provide an overview of
16 the clinical development of *Staph aureus* vaccines to date with
17 a focus on the two most -- the two candidate vaccines that have
18 been most extensively evaluated in clinical trials: StaphVAX
19 was an investigational bivalent conjugate vaccine developed by
20 Nabi Biopharmaceuticals. It was formulated to contain 100 mg
21 of capsular polysaccharide Types 5 and 8 individually
22 conjugated to a recombinant nontoxic variant of *Pseudomonas*
23 *aeruginosa* exoprotein A, a carrier protein.

24 Study 1356 was a randomized, double-blind, placebo-
25 controlled Phase III trial which evaluated a single dose of

1 StaphVAX against *Staph aureus* bacteremia in 1,804 adults
2 18 years of age or older with end-stage renal disease and on
3 hemodialysis. The study did not meet its pre-specified primary
4 study objective. It demonstrated no statistically significant
5 reduction in bacteremia in the year following vaccination
6 compared to placebo. The vaccine efficacy estimate was 26%
7 with a lower bound on the 95% confidence interval of -24%.

8 Nabi conducted a second randomized, placebo-controlled,
9 double-blind Phase III study which evaluated two doses of
10 StaphVAX administered at Weeks 0 and 35 in 3,359 subjects with
11 end-stage renal disease and on hemodialysis. The primary
12 endpoint was moved to 8 months after the first dose of the
13 vaccine. This study did not demonstrate vaccine efficacy in
14 reducing the incidence of *Staph aureus* bacteremia for up to 8
15 months following the first dose of the vaccine. The safety
16 analyses were consistent with the prior Phase III trial and
17 revealed no significant differences in rates of serious adverse
18 events or deaths between vaccine and placebo groups.

19 Possible factors contributing to the failure of StaphVAX
20 that have been discussed in the scientific literature include
21 the following:

22 - First, further investigation suggested possible
23 suboptimal quality or manufacturing of the vaccine lot for
24 capsular polysaccharide 8 antibodies to CP8 elicited by
25 StaphVAX in study 1371 had lower affinity compared to

1 corresponding antibodies in the earlier study, 1356.

2 - Second, there's a general immune suppression associated
3 with uremia and/or dialysis that has been noted in patients
4 with end-stage renal disease. This includes complement
5 depletion, reduced phagocytic and killing ability of
6 neutrophils, and deficiencies in antigen presenting cells and
7 t-lymphocytes.

8 - And finally, it has been noted that anticapsular
9 antibodies alone may not be sufficient to protect against
10 invasive *Staph aureus* infections.

11 So the second and more recently studied candidate *Staph*
12 *aureus* vaccine that I'll discuss is Merck's V710
13 investigational vaccine. V710 contains a *Staph aureus* iron
14 surface regulated determinant B, which is a conserved cell wall
15 anchored protein that is expressed during iron limitation.

16 In a randomized, double-blind, placebo-controlled Phase
17 III trial, the safety and efficacy of a single dose of V710 was
18 evaluated in adults 18 years of age and older scheduled for
19 cardiothoracic surgery involving a full median sternotomy. The
20 primary objective was to demonstrate that a single dose of
21 V710, when administered 14 to 60 days prior to the full median
22 sternotomy, results in a reduction in the proportion of adults
23 with postoperative *Staph aureus* bacteremia and/or deep sternal
24 wound infections through post-op Day 90 by at least 20%
25 relative to placebo.

1 Sorry, okay. There we go, okay.

2 The trial was event driven. Following a review of the
3 second interim analysis and supplemental results, the data
4 monitoring committee recommended permanently closing the study
5 to enrollment because of concerns about a higher rate of
6 mortality and multi-organ failure in V710 recipients than in
7 placebo recipients, in addition to a low probability of
8 success. The sponsor followed the recommendations of the DMC.
9 After locking the database, 7,983 subjects had been vaccinated.
10 There were 22 adjudicated cases among V710 subjects versus 27
11 adjudicated cases among placebo subjects, and in the primary
12 modified ITT analysis, V710 vaccine -- the V710 vaccine was not
13 significantly more efficacious than placebo. Vaccine efficacy
14 was calculated as 18.5% with a 95% confidence interval, ranging
15 from -48.6% to 55.8%.

16 In the safety analysis among subjects experiencing any
17 postoperative *Staph aureus* infection, V710 subjects had a
18 higher mortality rate compared to placebo subjects, and V710
19 subjects had a higher rate of death with multi-organ failure
20 compared to placebo subjects.

21 A causal relationship between V710 and higher rates of
22 mortality has not been established.

23 Possible factors contributing to vaccine failure that have
24 been discussed in the scientific literature include:

25 - A modest and transient functional antibody response in

1 V710 recipients.

2 - That anti-IsdB antibodies alone may not be sufficient
3 for protection.

4 - And that undetectable pre-existing IL-2 and IL-17 levels
5 play a role.

6 - In the post hoc analyses, the data suggested that
7 undetectable baseline or pre-vaccination and pre-
8 operative IL-2 levels and undetectable preoperative
9 IL-17a levels were each associated with mortality in
10 V710 recipients experiencing any post-op *S. aureus*
11 infection.

12 At this point I'll focus on Pfizer's tetravalent *Staph*
13 *aureus* vaccine.

14 Pfizer has developed an investigational *Staph aureus*
15 vaccine which contains four surface-expressed *Staph aureus*
16 antigens. It contains capsular polysaccharide serotypes 5 and
17 8 each conjugated to CRM197, the capsular 8 *Staph* in the
18 invasion of opsonophagocytosis. It contains a recombinant form
19 of *Staph aureus* Clumping factor A. ClfA is responsible for
20 bacterial adhesion to fibrinogen. And it contains a
21 recombinant form of *Staph aureus* manganese transporter C
22 protein. *Staph* requires trace elements such as manganese for
23 growth. Pfizer states that they selected these antigens
24 because they are well conserved and expressed during early
25 infection, and Pfizer intends for this vaccine to be broadly

1 protective across the range of clinical isolates of *Staph*
2 *aureus* regardless of antibiotic resistance profile.

3 The product is to be administered as a single dose
4 intramuscularly between 10 and 60 days prior to elective
5 orthopedic surgery.

6 The proposed indication is for active immunization for the
7 prevention of postoperative invasive disease caused by *Staph*
8 *aureus* in adults 18 years of age or older undergoing elective
9 orthopedic surgery.

10 In July of 2015 Pfizer initiated Study B3451002, a large
11 randomized, double-blind, event-driven global study evaluating
12 the safety and efficacy of a single intramuscular dose of their
13 tetravalent vaccine versus placebo in adults 18 through 85
14 years of age when administered 10 to 60 days prior to
15 undergoing an elective, open, posterior spinal fusion procedure
16 with multilevel instrumentation.

17 And I'll go over a few important definitions.

18 - Spinal fusion is defined as a surgical arthrodesis
19 procedure or fusion of the vertebrae which may involve the
20 cervical, thoracic, lumbar, or sacral vertebrae or the pelvis.

21 - Instrumentation involves implantation of prosthetic
22 material such as rods, screws, plates, hooks, wires, and/or
23 bone cages and which may be composed of titanium or cobalt
24 alloys, plastics, or stainless steel.

25 - Multilevel procedures are defined as procedures with

1 instrumentation involving at least three vertebrae. A single
2 fusion that includes two vertebrae is permitted if
3 instrumentation spans three or more vertebrae.

4 And this slide reviews some select study eligibility
5 criteria. Subjects with comorbidities are eligible to
6 participate. And the next few bullets review select exclusion
7 criteria, so subjects that are excluded include those with:

- 8 - End-stage renal disease or nephrotic syndrome,
9 immunocompromising conditions or other illnesses requiring
10 treatment with known immunosuppressant therapies.
- 11 - Any known or suspected malignancy to the spine.
- 12 - A history of major surgery within the prior 3 months.
- 13 - A history of spinal surgery performed within the prior 6
14 months.
- 15 - A history of any previous spinal surgery resulting in
16 postoperative bloodstream or surgical site infection.
- 17 - And antibiotic therapy for microbiologically confirmed
18 invasive *Staph aureus* disease within the prior 12 months.

19 The primary study objective is to assess the efficacy of
20 tetravalent *Staph aureus* vaccine in the prevention of
21 postoperative *S. aureus* bloodstream infections and/or deep
22 incisional or organ/space surgical site infections occurring
23 within 90 days of the index surgery and confirmed by the event
24 adjudication committee.

25 Assuming true vaccine efficacy of 70% or greater, efficacy

1 will be declared if the lower bound on the 95% confidence
2 interval of the vaccine efficacy estimate is 20% or greater.

3 Infections contributing to a primary endpoint are defined
4 in the protocol and are consistent with CDC definitions.

5 The study includes prospective criteria to identify
6 multiple organ failure after vaccination and surgery.

7 Safety and efficacy via secondary efficacy endpoints will
8 be evaluated through Day 180 after the index surgery.

9 Pfizer's clinical development plan includes a proposal to
10 use safety and efficacy data from Study B3451002 conducted in
11 elective, open, posterior approach, multilevel, instrumented
12 spinal fusion surgery as the primary data supporting the
13 proposed indication in adults undergoing any elective
14 orthopedic surgery.

15 Pfizer proposes that vaccine safety and efficacy
16 demonstrated in Study B3451002 can be generalized to other
17 elective orthopedic surgical populations because the study
18 constitutes a "stringent" assessment of vaccine efficacy; on
19 average, the study population undergoes a longer and more
20 complex procedure compared to other elective orthopedic
21 populations resulting in a higher incidence of postoperative
22 invasive *Staph aureus* disease at 1.4% versus 0.2 to 0.5%. In
23 addition, the study population is representative of other
24 elective orthopedic surgical populations with regards to risk
25 factors associated with postoperative surgical site infections

1 and the immunopathogenicity of postoperative *Staph aureus*
2 surgical site infections.

3 So Pfizer is making the case that patient- and procedure-
4 related risk factors associated with surgical site infections
5 are similar across all elective orthopedic surgical populations
6 and procedures.

7 Patient-related risk factors include *Staph aureus* nasal
8 carriage, comorbidities, age, and health status.

9 And procedure-related risk factors include perioperative
10 care, some of which we reviewed on an earlier slide; median
11 procedure duration; implantation of prosthetic material; the
12 length of incision; wound characteristics; site of the surgery;
13 anatomical structures and tissues; and allogeneic blood
14 transfusions.

15 Pfizer is also making the case that their investigational
16 vaccine is designed to provide protection against invasive
17 disease established at the surgical incision site and that the
18 immunopathogenicity of postoperative *Staph aureus* infections
19 are similar across elective orthopedic surgical populations.
20 When considering this, one should take into account the source
21 of the inoculation, the early pathophysiology of *Staph aureus*
22 surgical site infections, the *Staph aureus* isolates associated
23 with surgical site infections, and the presence of cellular and
24 humoral immune components at surgical sites.

25 So I'll conclude my presentation with a discussion of

1 considerations in trying to determine whether Pfizer's proposal
2 is scientifically valid. The question at hand is whether
3 assuming Study B3451002, if we assume that this study meets its
4 primary objective, then can vaccine safety and efficacy data
5 from this study be generalized to adults undergoing any
6 elective orthopedic surgery?

7 First for consideration is the extent to which
8 dissimilarities across different elective orthopedic surgical
9 populations and procedures are relevant with regards to
10 patient-related risk factors, procedure-related risk factors,
11 and the immunopathogenicity of postoperative surgical site
12 infections.

13 A second consideration is whether the B3451002 study
14 population and indexed surgical procedures are representative
15 of other elective orthopedic surgical populations and
16 procedures.

17 And finally, whether Study B3451002 represents a stringent
18 evaluation of vaccine efficacy.

19 Thank you.

20 DR. EDWARDS: Are there any questions? Would it be
21 possible for you to put back the questions that Jeff put up
22 before on the screen so that we can look at those? Yeah.

23 Yes, please. Dr. Kirkpatrick.

24 DR. KIRKPATRICK: I'm sorry I'm revealing my ignorance
25 again as a bone guy. When you say -- or when the Sponsor says,

1 and you say, efficacy of 70%, what specifically does that mean?
2 Does that mean out of 100 patients, 70 will not develop an
3 infection, or does it mean the severity of the infection is
4 different? What is the actual efficacy measure?

5 DR. MONGEAU: I think I'll let Pfizer address that
6 question.

7 DR. B. GRUBER: So Bill Gruber, head of Vaccine Clinical
8 Surgical and Development at Pfizer.

9 This is really a placebo-controlled trial, so it basically
10 takes the placebo attack rate, and there's basically a 70%
11 reduction in that attack rate. So it doesn't mean that, you
12 know, if you put 100 people in to get orthopedic surgery, that
13 you're going to prevent 70 of them from getting an infection.
14 If they otherwise would've gotten infection as part of that
15 placebo attack rate, then you prevented -- prevented 70% of the
16 infection. Was I clear? Okay.

17 DR. EDWARDS: Yes, Dr. El Sahly.

18 DR. EL SAHLY: So we heard that these four antigens are
19 conserved and that's part of the reason why they were chosen,
20 but the two capsular polysaccharides are not prevalent in the
21 U.S. or not even present in the USA300, so -- and which is the
22 main circulating community and hospital-acquired *Staph aureus*
23 strain in the U.S. So that's half of the vaccine is almost out
24 for most of the people in the U.S.

25 And in the debriefing documents we received prior to the

1 meeting, I want to say either the ClfA or the mtcA has only 70%
2 antigenic similarity across multiple strains tested. So how do
3 we project that this vaccine -- I mean, why do we say that this
4 vaccine is relatively conserved or addresses conserved strains?

5 DR. MONGEAU: With regard to that, yeah, I have to defer
6 to the Sponsor.

7 DR. ANDERSON: Annaliesa Anderson, Pfizer.

8 So a couple of questions there. I'm going to start with
9 the protein antigens first. And so regarding the Clumping
10 factor A, we find it's present in all disease isolates, and the
11 maximum sequence identity is 92%, which means that that's very
12 highly conserved, so I'm not sure where the 70% was from.

13 We have a functional antibody assay that measures whether
14 or not antibodies can inhibit the action of the antigen and the
15 fibrinogen to prevent the binding as described in the briefing
16 document. And so there, we've looked at prevalence-based
17 collection of strains that represent the diversity of the
18 Clumping factor A that's expressed by *Staphylococcus aureus*,
19 specifically the most common isolates, and shown that the
20 sequence doesn't stop the antibodies that the vaccine makes
21 from preventing the bacteria to bind to the fibrinogen.

22 Regarding the MMTc, it's actually extremely conserved. We
23 find that there's really 98.5% sequence ID, and there's a
24 different one and so there again. And then the mechanism of
25 action for that antigen is to prevent manganese uptake, and we

1 show that antibodies can, you know, recognize that site.

2 Capsular polysaccharide in USA300 is a much more complex
3 story and is actually quite a contentious one, too. So as
4 Dr. Gruber mentioned, approximately 9% of strains we see in
5 orthopedic surgeries are USA300 versus all the other MRSA and
6 MSSA that we see.

7 Again, we've done prevalence-based studies in
8 collaboration with the Wellcome Trust in the United Kingdom and
9 found that, you know, when one looks at USA300, it's absolutely
10 true that they do not express capsular polysaccharide when
11 they're grown in vitro, in a test tube.

12 However, it's a slightly different story when you look at
13 what's being expressed in vivo. We've been able to generate
14 data where we can challenge animals with USA300 strains, and
15 they do develop an antibody response to the capsular
16 polysaccharide indicating that there is a level of expression.

17 Also, if you extract the bacteria directly from the animal
18 without growing it in culture, in about 70% of cases you find
19 that they are actually expressing the capsular polysaccharide.
20 So the question comes, you know, why is that, because there are
21 mutations in the -- infected pathway.

22 There's one major mutation that actually causes one of the
23 enzymes to stop and not be expressed, but what's interesting is
24 there's a duplication within the capsular polysaccharide
25 pathway.

1 And a group in Japan -- and I must apologize because I'm
2 never very good at expressing the name, but there is a slide
3 that shows the publication -- have actually shown that this
4 duplication is true and the dehydratase that shouldn't be
5 expressing the capsular polysaccharide, the one right next
6 door -- thank you. If you can show Slide 3. And this isn't to
7 read; this is so I can remember the name. It's the Merefasa
8 (ph.) group.

9 So the capsular polysaccharide USA300 has a mutation in
10 the capD gene, but the capE gene is the one which can actually
11 have the same functional activity. And so there is evidence
12 that whereas the mutation may have an effect on preventing
13 capture in vitro, in vivo, I've explained two lines of
14 evidence, the in vivo expression and also the ability of
15 animals to generate an immune response to the capsular
16 polysaccharide.

17 So as I said, it's not a straightforward story, and I
18 think, you know, to very briefly summarize, we have a four-
19 antigen vaccine, and so the proteins are very conserved, and
20 they have specific mechanism of action to prevent early
21 infection. The capsular polysaccharides induce antibodies that
22 can kill the bacteria. There is evidence that USA300 may have
23 some deficiencies in capsular expression, but there's certainly
24 also evidence that it may still express capsule when in an
25 infectious disease situation.

1 DR. EL SAHLY: A question. Given an incidence of 1.4% of
2 serious *Staph aureus* infections post-multilevel spinal fusions
3 with instrumentation and 70% efficacy of the vaccine, how many
4 events are we talking about, difference? Because, you know, we
5 are trying to generalize to a large swath of the population
6 based on preventing X number of events, if we have the
7 biostats.

8 MR. RADLEY: Hello, my name is David Radley. I'm a
9 statistician at Pfizer.

10 The design of the study requires a total of 48 cases of
11 infection, the primary endpoint, and for the study to be
12 successful, to meet its criteria, there needs to be no more
13 than 14 of those 48 cases in the vaccine group.

14 DR. EDWARDS: Dr. Kotloff, did you have a question?

15 DR. KOTLOFF: Yeah, two simple questions. One, we've
16 heard that possibly antibody affinity has been responsible for
17 weaker performance of monoclonal antibody and for vaccine, and
18 I was wondering if you measured antibody affinity in response
19 to your vaccine.

20 DR. JANSEN: Hi, my name is Kathrin Jansen. I'm heading
21 Vaccine Research and Development at Pfizer.

22 We have not, per se, measured the affinity of the
23 antibodies, especially from the conjugates, elicited after
24 immunization with the conjugates. However, we have very
25 stringent criteria when we put our conjugates together, so we

1 have developed processes that are highly consistent. So from
2 time to time to time that we're actually producing them, they
3 are made exactly the same way, and there are very stringent
4 control procedures in place to assure that.

5 And then we have done immunogenicity studies, not in
6 people, but in animals that show that the immunogenicity
7 elicited, through multiple lots, is essentially very similar
8 and comparable. So we don't think that we have to worry about
9 the issue that was unfortunately observed with the Nabi
10 vaccine.

11 DR. KOTLOFF: Thank you. And the other quick question
12 just follows the USA300 story. So in your animal models, did
13 you look to see whether the efficacy was the same with the
14 USA300 and non -- you know, and other strains?

15 DR. MONGEAU: Sorry, who is your question directed at? Is
16 it directed at --

17 DR. KOTLOFF: Not you; it's directed --

18 DR. MONGEAU: Oh, okay. Okay.

19 DR. KOTLOFF: -- to Pfizer.

20 DR. ANDERSON: Annaliesa Anderson, Pfizer.

21 So we have tested USA300 strains in our animal models, and
22 we do see efficacy.

23 DR. KOTLOFF: And it's similar to the efficacy of --

24 DR. ANDERSON: That's right. Yes.

25 DR. EDWARDS: Dr. Long. And if you're not using your

1 microphone, please turn it off.

2 DR. LONG: You talked about the exclusion criteria for
3 entry into the Pfizer study, and they did also. And part of
4 it, it doesn't seem very exclusionary, actually, from what is
5 written except how you interpret immunocompromising conditions.
6 So I'm wondering about obesity, severe cardiovascular disease,
7 or any kind of cardiovascular disease or diabetes and whether
8 that would be exclusionary or not.

9 DR. MONGEAU: No, those underlying medical conditions are
10 not considered exclusionary.

11 DR. LONG: So then I'd like to know the relative frequency
12 of those, especially obesity, that might be different in people
13 getting hips replaced, knees replaced, compared with back, to
14 understanding extrapolation.

15 DR. MONGEAU: Yeah, I think Pfizer can address that. I
16 think they had a slide that showed --

17 DR. LONG: You might have, and I think I missed it. Maybe
18 we could see it again, Bill?

19 DR. B. GRUBER: Yeah, so we could -- yeah. If we could
20 bring up Slide 3, please. So this slide was meant to
21 represent -- of course, this is an intersection in time at the
22 time that this particular number of subjects are in the trial.
23 We now have over -- around 1,900 subjects. But it basically
24 compares, as you can see, the STRIVE population with typical
25 representation in terms of age, gender, race, and then a number

1 of underlying risk conditions. And as you sort of look across,
2 you can see a fair degree of commonality. These numbers may be
3 slightly different in spots, but take diabetes, for instance,
4 in the STRIVE population, 17.9%. If you look at all spinal
5 surgery plus and minus implants, it's typically around 15.
6 Primary hip, 11.6. Obviously, much bigger denominators. And
7 individuals with knee arthroplasty, 8.2.

8 So our goal here was really to demonstrate that the STRIVE
9 population, in terms of its demographics, not only compares
10 favorably to the overall spinal surgery population, but
11 actually compares favorably to the populations
12 that -- represented here in terms of elective orthopedics, in
13 terms of hip and knee arthroplasty.

14 DR. LONG: Before you take that away, have you done
15 something in the STRIVE study for those patients to seemingly
16 be a little older than the usual spinal surgery patient? I
17 mean, what if you ended up with a 10-year difference when you
18 finish the study, between that and trying to extrapolate that
19 to knee arthroplasty?

20 DR. B. GRUBER: Yeah, I think, you know, we have data
21 that, again, looks at that full range, if you recall back to
22 the immunogenicity data, of 18 to 85 years. There's not much
23 discrimination when you even get to the top end. You still
24 have very high, robust antibody responses. I think, actually,
25 if you look in your briefing package at the back, we have that

1 broken down in terms of the younger age group and the older age
2 group.

3 DR. EDWARDS: Dr. El Sahly.

4 DR. EL SAHLY: This question is more to the orthopedic
5 surgeons in the audience. What fractions of orthopedic general
6 procedures involve titanium or chromium cobalt? Because these
7 are the ones in the -- with instrumentation, I guess, in the
8 spinal cases.

9 DR. RICHARDSON: So William Richardson, Duke University.

10 Certainly for, I think, hips, knees, and spine, there are
11 still some stainless steel implants around, but the vast
12 majority are either some sort of alloy of titanium or chrome
13 cobalt. There are some newer techniques with total joints with
14 ceramics, but the vast majority are titanium and chrome cobalt.

15 DR. EL SAHLY: I asked this question because the
16 indication requested is a little bit more general than knee/hip
17 implants. It says general orthopedic procedures, which -- I
18 mean, I don't know. What else is there? I'm guessing there
19 must be a lot, but --

20 DR. RICHARDSON: Yeah. Do you want me to answer it, or do
21 you want --

22 (Off microphone response.)

23 DR. RICHARDSON: So, certainly, general orthopedic
24 procedures could include arthroscopy, they could include soft
25 tissue procedures. Certainly other types of orthopedic

1 procedures involving bone would again involve plates, which are
2 either stainless steel or titanium, so all implants are going
3 to be titanium, but there are additional orthopedic procedures
4 that may not require implants.

5 DR. B. GRUBER: So because I think this gets back to a
6 question, Dr. Kirkpatrick, you were asking perhaps, if I
7 understand this morning. Maybe we can just show Slide
8 Number 2.

9 You know, our thinking about what we would include as far
10 as an indication is concerned, and I try to think of this sort
11 of simplistically, circumstances where the musculoskeletal
12 system is not incidental to the surgery, so whether it's really
13 the target of the surgery as opposed, for example, to say a
14 neurosurgeon having to go through the skull to essentially work
15 on the brain where really the target is the brain. So if you
16 use that sort of as the calculus for deciding, then these types
17 of surgeries are the surgeries that would be included in an
18 indication.

19 And I think, harkening back to some of the discussion we
20 had earlier, it isn't so much the nature of the device that's
21 important; it's the fact that there's the potential for a
22 conditioning film of host proteins to essentially coat that
23 device at the same time that that same area will have access to
24 antibody and serum. So the goal is for the antibody to
25 essentially block the organism from binding to that

1 conditioning material.

2 The purpose of the broad indication, then, is just as
3 that, you know, that we'd have safety and efficacy that should
4 be applicable across the spectrum. It's then up for
5 recommending bodies to decide and argue the risk-benefit
6 profile for which circumstances you would use the vaccine in.

7 So if we take, as an example, hand surgery, which right
8 now doesn't even get antimicrobial prophylaxis, the decision
9 might be that in that circumstance, the risk-benefit profile
10 would be such that it's -- even though it's licensed because
11 it's part of all elective surgery, it wouldn't be recommended.
12 So our goal really is to have a broad indication,
13 give -- empower recommending bodies, then, to make judgments
14 about the risk-benefit profile, about how it would be used.

15 DR. EDWARDS: Dr. Kirkpatrick and then Dr. Blackstone.

16 DR. KIRKPATRICK: So I would echo the fact that you're
17 getting on the right track. Orthopedics is a very broad field,
18 the pathophysiology and the populations are very different.
19 And I'll leave it up to Dr. Edwards to tell me if she would
20 like me to further discuss that now or reserve that to the
21 discussion period.

22 DR. EDWARDS: I think you should discuss that now

23 DR. KIRKPATRICK: So if we could get that list back up. I
24 don't know what slide that was that had the variety of -- yes.
25 So the first three are unequivocally, in my mind, equivalent,

1 hip and knee arthroplasty and spine, from a pathophysiologic
2 candidate population standpoint. From a pathophysiology
3 standpoint, all three of those involved are fairly significant
4 dead space, if you will, that is either the
5 implant -- fundamentally, it's the implant, okay, whether it be
6 cobalt chrome and polyethylene, whether it be just spine
7 implants, that sort of thing. They are occupying space within
8 the body; the body no longer has circumferential access, so to
9 speak, to that bacteria, and they also provide a scaffold for
10 the bacteria to put their biofilm. Okay, so the
11 pathophysiology of that is a very special condition.

12 When you start beyond that, spinal laminectomy does not
13 involve placing any implants. Other spine procedures, there
14 are some with implants, some not. Joint fusions typically have
15 an implant, but they're often used as an internal splint, so to
16 speak, so there's not as much dead space; in fact, we're trying
17 to use minimal plating as much as we can. And that also gets
18 you into a fracture category, which is a different population
19 of patients as well.

20 So I don't want to go through the entire list, but then
21 you get to the other side, you've got the point that he made
22 about carpal tunnel, you don't need any prophylaxis there.
23 Many people don't use it for arthroscopy. There's ligament
24 reconstructions that are soft tissue that don't involve that
25 dead space, and so that's a huge difference in the

1 pathophysiology of an infection and the -- or excuse me, the
2 incidence of those infections is way lower. For example, in an
3 ACL reconstruction where you have two screws, it's almost
4 nonexistent when you compare that to, you know, a knee
5 replacement, for example, which would be the same joint. So
6 it's really very different to say all of orthopedics.

7 DR. EDWARDS: Dr. Blackstone.

8 DR. BLACKSTONE: Great. If you hadn't said that, that was
9 one other thing I was going to try and indicate because we have
10 the same issue in cardiac surgery where, you know, there's a
11 big difference between opening the whole sternum, the
12 mediastinum having stuff in there and having wound infections
13 where you are going after a vein or something.

14 But I do have a question of Pfizer, and that is I
15 understand very well the idea of a Phase II study in an
16 enriched population that has higher infections, find out a
17 bunch of stuff that you folks have talked about over there
18 about the immunology and all that kind of stuff, and then
19 you're asking us to assume that the study achieves all of its
20 objectives.

21 What I don't know is, at the very beginning of this study,
22 what you had thought would be the next study to actually get
23 approval for this vaccine. I'd normally think that you'd have
24 a Phase III study. There must have been something in mind.
25 And then what has triggered this, hardly at midpoint, to turn

1 the Phase II into a Phase III?

2 DR. EDWARDS: Is that a question that -- go ahead.

3 DR. B. GRUBER: Yeah, I think it was a question directed
4 to Pfizer, so is it okay, Dr. Edwards, if I answer? So, again,
5 Bill Gruber, head of Vaccine Clinical Research and Development
6 at Pfizer.

7 So we described this a bit in the briefing document. When
8 we actually started out with the STRIVE trial as a Phase IIb
9 study, we actually thought that the attack rate was going to be
10 significantly higher because some of the published literature
11 had suggested that was the case. As we looked in databases and
12 began to gain experience, it became apparent that the attack
13 rate was lower.

14 So that afforded us the opportunity, then, to say all
15 right, even though we planned the study originally to de-risk a
16 Phase III study, that now we were going to be compelled to
17 enroll subjects that would allow us to get within range with a
18 safety database of 3,000 subjects in the study to satisfy
19 safety criteria, so we could actually use the study as Phase
20 III. Had we actually had a higher attack rate, in all
21 probability, we might have either decided to, you know,
22 essentially expand the study or do an additional study that
23 essentially allowed us within about 6,000 subjects to get the
24 necessary safety database.

25 So it was really driven, in part, by the fact that we now

1 are in a position to meet criteria where we can demonstrate
2 efficacy with a lower bound of the confidence interval greater
3 than 20% with 6,000 subjects. For the purpose of the license,
4 we would have potentially ended up there, anyway. This is just
5 now recognizing the attack rate using the existing study to do
6 that.

7 DR. EDWARDS: Dr. Kirkpatrick.

8 DR. KIRKPATRICK: I'd just like to comment on that issue
9 because I've heard it a couple of times. I have approximately
10 20 years of experience on FDA panels, mostly in devices,
11 combination products, and now in vaccines. At many of those
12 panel meetings, we have had to ask the sponsor why didn't you
13 ask this before, because they've tried to come in and expand
14 their indications at the approval meeting. And I see this,
15 actually, as a very refreshing and an excellent move by
16 Dr. Gruber and his team to open that discussion with the FDA
17 early on so that they don't get to the end of the table and get
18 sent back to square one; they instead are trying to be
19 proactive and with the FDA, get the most safe thing for our
20 patients. So I think this is a great move for them to actually
21 be entertaining this question during their approval process.

22 DR. EDWARDS: Mr. Toubman.

23 MR. TOUBMAN: Yes, my questions are only for the FDA, and
24 they're mirror questions I had for Pfizer this morning.
25 Notwithstanding the well-said comment just now about how it is

1 refreshing, good point, there's also, in terms of the basic
2 questions here, it's not a yes or no. We could answer the
3 questions by saying premature: so yes, we agree, it should be
4 generalized; no, it shouldn't be generalized; or it's too soon
5 and it should wait until the normal process.

6 But this question is for FDA, first question, is it was
7 made clear, in response to my question, that they are
8 threatening to terminate the STRIVE study if the answer is
9 either no, it can't be generalized, or punting and waiting;
10 they have threatened that. And my question for FDA, maybe not
11 you but somebody else in the room, is, is that even
12 permissible? Based upon all the communication so far between
13 the FDA and the company, is that even permissible? Maybe
14 there's a lawyer from FDA in the room, whatever. So that's my
15 first question.

16 The second question is, assuming that this group
17 was -- focusing particularly on Dr. Kirkpatrick's comments
18 about the difference, orthopedic procedures are very different,
19 if the FDA were to -- or this Committee were to suggest, and
20 the FDA were to agree, that there should be at least one other
21 study involving one other orthopedic procedure and again, using
22 Table 6, which I urge everybody to look at, from their
23 presentation, there are several other procedures that could be
24 done that have similar incidence of infections in terms of
25 *Staph aureus* infections generally.

1 If the FDA were to conclude that, could it require, as a
2 condition of getting a yes answer to these questions, that yes,
3 you can use it generally in orthopedic procedures, could it be
4 conditioned upon them doing one more study of one other
5 orthopedic procedure?

6 DR. MONGEAU: Do you want to pull up the questions again
7 or --

8 DR. M. GRUBER: Can I comment on that?

9 DR. EDWARDS: Please. Please, Dr. Gruber.

10 DR. M. GRUBER: Yeah, Marion Gruber with the FDA.

11 DR. EDWARDS: The FDA Gruber.

12 DR. M. GRUBER: Yes, the FDA Gruber.

13 DR. EDWARDS: Not the Pfizer Gruber.

14 DR. M. GRUBER: So I think, if I understand
15 your -- understood your first question correctly, is it
16 permissible, I think you're referring to Pfizer potentially
17 stopping the further clinical development of this product
18 pending the outcome of these discussions or any further
19 considerations. There is nothing that prevents them from doing
20 so. I mean, they are at liberty to decide whether to advance
21 clinical development of a certain product, whether to stop it,
22 whether to go to another national regulatory agency instead of
23 us, so that is nothing that we have any influence. That's not
24 a question about is it permissible or not to the FDA.

25 The other -- do I have an echo here? This sounds -- I

1 hear myself. Yeah? Okay. The other point that you were
2 making, I think we've discussed this question, whether it is
3 okay to extrapolate from a spinal fusion surgery to other
4 elective orthopedic surgical populations. With Pfizer, I don't
5 know, for the last several years, so this is not a question
6 that is -- that came up last month or 6 months ago. So we
7 decided it is a very complex topic to discuss, and that's why
8 we decided we'd take it, you know, to our panel, including some
9 of the experts here.

10 So depending on the outcome of what you've been
11 discussing -- and we intentionally didn't phrase this as a
12 "yes/no" question because we wanted to really, you know,
13 encourage and entertain sort of a very frank, very open
14 exchange of pros and cons, if you want, and then, of course, if
15 the outcome is well, you know, you can extrapolate, that's one
16 answer.

17 But if it is you can extrapolate to a certain point, the
18 possibility of, you know, doing another study, maybe for safety
19 reasons, maybe for, you know, efficacy reasons, is something
20 that is not going to be excluded at this time. So this would
21 be still on the table and something to be discussed with the
22 Applicant.

23 MR. TOUBMAN: I really appreciate both of those answers.
24 I guess my first question was premised upon the idea that the
25 STRIVE study was developed, as I understand it, with extensive

1 discussions with the Agency, and it was developed with a lot of
2 thought, and I was just wondering if they're -- given all that
3 investment on both sides, it was permissible, and I guess the
4 answer is yes, they can just take their marbles and go home.

5 On the second thing, there's both safety and efficacy, and
6 I sort of said twice now, without having gotten any responses
7 from anybody, that maybe we can look at just total *Staph aureus*
8 infections and see how similar they are in other surgeries
9 besides this one we're talking about and to suggest that, you
10 know, we could look at that.

11 In terms of safety, I believe, unless I'm missing
12 something, that would be a perfectly valid study, equally valid
13 to spinal fusion, to look at that, because you're going to have
14 the same issues either way.

15 DR. EDWARDS: That may be a little bit more complex in
16 terms of the different pathogenesis at the various infections,
17 pneumonia and sepsis, and so I think we'd need to probably stay
18 focused on the orthopedic questions for this, yeah.

19 MR. TOUBMAN: No, I was saying -- I'm sorry, I was talking
20 orthopedic. I was just suggesting that it would be, if you
21 look at other orthopedic procedures, you could do a valid test
22 for safety without having to have it be invasive infections.

23 DR. EDWARDS: Yes, Dr. Blackstone.

24 DR. BLACKSTONE: Could I ask, is splitting a sternum an
25 orthopedic procedure? Because it is not there, but it is

1 similar.

2 DR. EDWARDS: Well, I guess it's not done by the orthopod,
3 right?

4 DR. BLACKSTONE: The question is why not?

5 DR. EDWARDS: Well, it seems --

6 DR. BLACKSTONE: Because this is one of --

7 DR. EDWARDS: -- doesn't it --

8 DR. BLACKSTONE: -- the primary things that we worry
9 about. So, you know, we decolonize this very close -- the nose
10 is very close to the sternum and so on, so this is a huge
11 problem that we've had to adjust to.

12 DR. EDWARDS: It does seem that this is for orthopedic
13 surgery, so I guess the FDA could clarify for us whether that
14 is included. I would think probably not, but --

15 DR. KIRKPATRICK: Excuse me. I actually function as an
16 oral examiner for the board, and they would not consider
17 sternal osteotomy as being an orthopedic procedure. That is my
18 perception. I'm not representing the board, but that's kind of
19 how I think they'd come down on it.

20 DR. EDWARDS: Dr. Stephens.

21 DR. LEVY: This is Ofer. Dr. Edwards, just let me know
22 when it's okay to ask a question.

23 DR. EDWARDS: Sure. Dr. Stephens is --

24 DR. LEVY: I have just a comment.

25 DR. EDWARDS: Sure. Dr. Stephens is going to say

1 something, and then you can speak, Dr. Levy.

2 DR. STEPHENS: So while it's not an orthopedic procedure,
3 I must agree that -- and having seen some horrific
4 complications of *Staph aureus* in sternotomies and open heart
5 surgery, this is a real issue, in my view, and why can't you
6 simply -- if you're extrapolating to all orthopedic surgery,
7 why do we have to kind of -- if it's not done by an
8 orthopedist, I understand that, but there's wires, there are
9 other foreign bodies that are associated with those kind of
10 infections, and it is a major issue, and so I'm asking, just
11 kind of, why not? Why aren't we talking about other potential
12 benefits of such a vaccine?

13 DR. EDWARDS: Dr. Levy.

14 DR. LEVY: Hi, this is Ofer Levy. Firstly, I'd like to
15 commend Pfizer because they selected a very important topic
16 here. There's no doubt that the burden of disease is great,
17 and there hasn't been a good vaccine, so kudos to them.

18 In terms of the discussion topics, which really center
19 around generalizability, I think a lot of what we heard in the
20 first half of the day is that our knowledge regarding the host
21 immune system and *Staph aureus* continues to evolve, and I'm
22 thinking very much of the information presented about
23 how -- which elements of the immune response are going to be so
24 relevant, most relevant, to vaccine-induced protection are
25 still being sorted out and that maybe antibody is not going to

1 be the be-all and end-all here and the T-cell responses are
2 going to be likely important.

3 And then on the bacterial side, Dr. Otto pointed out the
4 tremendous variation between different *Staph* strains, the
5 toxins produced, the antibiotic susceptibility, the
6 encapsulation, etc.

7 So to me, I think we're still in a phase where we don't
8 know what we don't know, and with that as a backdrop, I am a
9 little hesitant about making broad generalizations from any one
10 study. I think there's still going to be a lot to learn. I
11 certainly hope this is a successful study and that the data
12 look really good, but I would think that FDA would want to be
13 very thoughtful about ensuring that more information was
14 gathered in a systematic way, including as much information as
15 possible about the bacteriology. It's not just whether there's
16 a *Staph* infection or not; are the studies designed to determine
17 what strains are causing the infections? And not just the
18 antimicrobial sensitivity, but you know, the PVL toxin and
19 other toxins expressed or other parameters that might be
20 relevant, small colony variance, etc. And similarly, not just
21 measuring antibody, but on the host side, also looking at the
22 T-cell response.

23 So I think there's still a lot to be learned here, and I
24 would be a little loath, based on one study, to generalize it
25 across a broader population without learning more. That's my

1 thought.

2 DR. EDWARDS: Thank you, Dr. Levy.

3 Yes, Dr. Roberts.

4 DR. ROBERTS: Jeff Roberts from FDA.

5 It seems like we're veering into the discussion topics
6 really solidly.

7 DR. EDWARDS: Yeah, that's --

8 DR. ROBERTS: And I guess I'd just like to ask if we have
9 any other clarifying questions with regard to our presentation,
10 and then we'll haul out Dr. Mongeau.

11 DR. EDWARDS: Yeah, thank you. That was what I was going
12 to say, too. Right.

13 Dr. Long.

14 DR. LONG: A clarifying question for the FDA. It is said
15 in our Pfizer confidential briefing document on page 12 that in
16 2014 the FDA granted fast-track designation to SA4 antigen for
17 adults 18 years of age and older who are undergoing elective
18 surgery. Can somebody clarify exactly what that fast-tracking
19 means?

20 DR. MONGEAU: Yeah, I can speak to that. So we have
21 specific criteria for products that -- clinical development
22 plans that we consider for fast-track, and one of those
23 involves whether or not the indication covers a serious
24 condition or disease, which we agreed invasive *Staph aureus*
25 post-op infections are, and whether or not there are data to

1 show that the clinical development plan is adequately designed
2 to address showing that proposed -- or meeting that proposed
3 indication, and that can involve early, early phase clinical
4 data. And so by giving that fast-track designation, it's sort
5 of showing our commitment to working with the sponsor in terms
6 of moving that clinical development plan along.

7 DR. LONG: But it doesn't mean, as it did with
8 meningococcal B vaccine, that this could be licensed without
9 efficacy data in this case?

10 DR. MONGEAU: No.

11 DR. LONG: It's different for every fast-tracking?
12 There's fast-tracking and there's fast-tracking?

13 DR. M. GRUBER: I mean, in this very case, the Sponsor is
14 proposing a clinical endpoint efficacy study so -- and that's
15 what they're doing. So we have -- no. At this point, you
16 know, we're going by these premises that, you know, assuming
17 the safety and efficacy is established and efficacy by, you
18 know, looking at prevention of these *Staph aureus* infections as
19 the primary endpoint we're spelling out, that, you know, would
20 be the basis by which efficacy is demonstrated and not based on
21 an immune parameter. So that's very different in this
22 scenario.

23 DR. EDWARDS: So I think we do need to go to our
24 discussion topics. The first one will be: Assuming that the
25 ongoing study of four-antigen vaccine achieves its pre-

1 specified primary efficacy objective in a population undergoing
2 elective, posterior approach, instrumented, multilevel spinal
3 fusion surgery, please discuss the reasons why efficacy should
4 or should not be generalized to other elective orthopedic
5 surgical populations.

6 Dr. Greenburg, would you like to start?

7 DR. GREENBERG: Sure, thank you.

8 I want to give the perspective that it's very, very
9 difficult to develop new vaccines for healthcare-associated
10 infections in general. We all talk about the tremendous burden
11 of disease and the economics on a national scale, if not a
12 global scale, but when you drill down to a study population for
13 which you're trying to find the efficacy for licensure, it
14 suddenly becomes very, very difficult, like where are all
15 those, you know, thousands and thousands of cases?

16 In this case, I think Pfizer identified a cohort to which
17 they could enroll and randomize and do a precise study with
18 just an estimated 1.4% rate of disease. So, therefore, based
19 on the sample size calculation, 70% assumed efficacy with a
20 lower bound greater than 20%, that suddenly becomes, you know,
21 for an event-driven study, 6,000 individuals. It's not a few
22 hundred, it's -- you know, it's 6 and -- and on top of that, it
23 is extremely difficult to enroll the right patients into this
24 type of study. I mean, you already heard it, you know, they
25 contacted hundreds of potential clinical sites. They were able

1 to work with those who are, you know, most likely to enroll the
2 right kinds of patients. Even when one does that, it still
3 becomes extremely difficult for reasons that were discussed.
4 Patients are a lot more concerned about their spinal surgery
5 than they are about an experimental vaccine.

6 So, actually, I think they've done a tremendous job, and
7 you know, when they're asking for a broader indication than the
8 narrow population that's being enrolled, I don't think it's
9 unreasonable if the Committee thinks that the pathophysiology,
10 the organisms that are infecting these patients, infect the
11 orthopedic patient, other orthopedic patients, that the
12 pathophysiology has a lot of similarities, that it's as likely
13 for the vaccine to work in these broader populations than just
14 the spinal surgery.

15 My opinion is that it meets all of those. Is it perfect?
16 No. I don't think it would be unreasonable for there to be
17 either a small study in a broader orthopedic population, not an
18 endpoint, a clinical endpoint outcome, but maybe, you know,
19 basic safety and immunogenicity to help bridge. And then, of
20 course, there's post-licensure work and, you know, I think any
21 manufacturer expects there to be post-licensure work in
22 populations that are beyond, you know -- or include some part
23 of the indication that might not have been studied as
24 extensively as it could have been in the pre-licensure work.

25 Pfizer didn't discuss cost, but I can tell you, these

1 studies, like this one, in this narrow population, tens to
2 hundreds of millions of dollars. So to do the same study
3 in -- you know, in hip replacement and knee replacement, you're
4 just simply -- you're spending even more money because the
5 incidence is lower.

6 So that's my perspective, that I think that the concept is
7 reasonable, the rationale is reasonable, and the information
8 that we have about the infections and pathophysiology meet it.
9 And then I think, then, there could be discussions down the
10 line, probably not today, about what other studies might be
11 reasonable to keep a broader indication.

12 DR. EDWARDS: Thank you very much, David.

13 Mr. Toubman.

14 MR. TOUBMAN: Thank you.

15 My comments will be similar to my questions that I raised.
16 Obviously, I can't -- because of having no medical background,
17 I can't possibly weigh in on the generalizability in terms of
18 those issues, but I did hear concerns from the gentleman on the
19 phone whose name I forgot, already expressed the comment that,
20 I think, there's too many open questions to generalize at this
21 point.

22 But what I'm looking at, as a layperson, is Pfizer's own
23 rationale, which appears at page 37 of their briefing document
24 and where they say they considered opening the current STRIVE
25 study to enroll other elective orthopedic surgical populations

1 with infection rates at the lower end of the incident range.
2 And I would note again, if you look at just total infections,
3 it's -- there were other surgeries that are about the same.

4 However, a clear interpretation of the study of efficacy
5 could be put at risk because lower numbers of cases driven by
6 lower infection rates in these additional populations would be
7 more likely to lead to a Type 2 error, false negative result
8 for this added subset, and it goes on to say the small number
9 of cases with undifferentiated or adverse -- could lead to
10 erroneous assumption about efficacy for the added elective
11 orthopedic surgical populations. I read that in conjunction
12 with their statement that they -- what they're asking for is a
13 stamp of approval that says if this works out in terms of
14 safety and effectiveness for fusions, spinal fusions, then,
15 automatically, generalize and approve, on the label, for all
16 orthopedic surgeries without knowing the real story in terms
17 of, you know, knee replacements, without knowing for sure, but
18 we should just go ahead and assume that.

19 Yet here, the concern expressed is that, well, we
20 shouldn't study it because if we study it, it might not come
21 out the way we want it to come out. And I understand the
22 problem of having too small a sample, but that could be
23 explained. That doesn't explain a reason not to even look. So
24 it really is disturbing to me that that's part -- like, very
25 clearly, part of the rationale and was stated orally as well.

1 So I guess I would say it is -- I would use the word
2 "premature" to give them a stamp of approval on generalizing to
3 all elective orthopedic surgical populations.

4 And one other thing I wanted to point out about the
5 recruitment issue, I understand that's a real problem, and I
6 believe that's the issue they've had, but part of that was
7 spinal serious surgeries. People in great pain will
8 have -- you know, really are facing a really serious surgery.
9 Perhaps recruitment is not quite as difficult in some of these
10 other procedures, so you could -- and I would support the
11 comment by Dr. Greenburg, there are ways of fashioning studies
12 that I don't understand, but there are ways of fashioning
13 studies that could, in a more limited way, look at some of
14 these other procedures. Thank you.

15 DR. EDWARDS: Thank you.

16 Dr. Stephens.

17 DR. STEPHENS: So I think there is no question that we
18 need a *Staph aureus* vaccine. It's a huge clinical need and an
19 unmet clinical need, and there's no question. We need,
20 however, a vaccine, given the two previous trials, that does
21 show efficacy and does work.

22 And I continue to be concerned about some of the
23 fundamental science, and whether antibody alone is the right
24 answer with these particular antigens, I don't know. So the
25 assumptions that this is going to work, that's what we're asked

1 to judge on, and I still have grave doubts, or doubts about the
2 fundamental mechanisms of protection, and have we really
3 captured that with this particular vaccine?

4 I think, again, it could be broadly applicable to other
5 conditions. We've mentioned cardiothoracic surgery as an
6 example, but there are other conditions where an effective
7 *Staph aureus* vaccine would be needed. I am concerned about the
8 kind of issues around the study in a sense that there are a
9 huge number of sites, there are lots of variables, it's a
10 complex study.

11 But, again, the question is assuming that it achieves the
12 primary objective, is it then generalizable to other elective
13 orthopedic surgical populations? I must thank my colleague to
14 my left that it's probably not generalizable to all orthopedic
15 procedures, and I think it would be a mistake to use this in
16 that particular setting without other evidence that it is not
17 only efficacious, but makes sense in terms of prevention of
18 infection.

19 So regarding the safety issue, I probably would come down
20 on No. 2, I'd probably come down and say yes, safety data in
21 this population with this vaccine could be generalizable to
22 other uses of this particular vaccine.

23 DR. EDWARDS: Thank you.

24 Dr. Kirkpatrick.

25 DR. KIRKPATRICK: Thank you.

1 I'd like to take a moment just to thank the FDA
2 presenters, and I know you had a lot of support working with
3 this, as well as the Pfizer presenters. That was a great
4 education effort for me, and I appreciate it very much, and you
5 put together great presentations on both sides, not that you're
6 opposing, but as two separate groups.

7 I appreciate the fact that you put the questions back up
8 because what I heard in the presentation was "all other
9 elective orthopedic surgical procedures" and what I see here is
10 not generalized to "other elective orthopedic surgical
11 populations," which implies that you would also like us to
12 define what other populations would we think of considering.

13 And when my colleagues brought up the cardiac effort, when
14 I was doing cardiac surgery, which was many years ago and don't
15 call me current, full disclosure, okay, we used to take an
16 artery that's on the inside of the chest, called the internal
17 mammary artery, can bypass it to the heart. Unfortunately, we
18 discovered that that led to a much higher incidence of these
19 terrible *Staph* infections, mediastinitis, needing debridements
20 and plastic surgery to fix it and all this kind of stuff, and
21 that was because we basically made the sternum a dead space,
22 like I reported to you earlier. So that kind of surgery may
23 indeed be an exception. I'm not the expert to tell you that,
24 but I'm thinking about it enough to bring it up for the FDA and
25 the Sponsor to consider as maybe that is a rationale to expand

1 to that one specific indication, because if you have a big dead
2 space, that bacteria can just grow in it.

3 Now, as far as what other orthopedic elective surgical
4 populations I would include, I clearly would include joint
5 replacement: total knee, total hip. They have a very similar
6 patient population, they have very similar kinds of concerns
7 with the pathophysiology, and so I had a no-brainer with that
8 coming here. It makes a lot of sense.

9 Now, what other orthopedic areas should be considered, I
10 disagree with the opinion of Dr. Gruber that you should license
11 everything and then we let the medical team figure out what it
12 is because, unfortunately, the medical team doesn't police
13 itself enough to stop using things that are approved that don't
14 work. And so I would much rather side on the FDA taking a
15 stance and saying, okay, the things that have a valid
16 scientific rationale that pass the reasonable assurance of
17 safety and efficacy are reasonable to consider for this, and
18 reasonable assurance may be like this, okay, somebody saying
19 these populations are a lot alike and it makes sense to try,
20 okay?

21 That also fits into the least burdensome, which, as we
22 heard our colleagues say, 100 million dollars for studies,
23 that's a lot of money, that's a lot of burden. And so, you
24 know, we don't want to overtax them, but at the same time we
25 want to give them some, you know, some oversight.

1 So on the other populations, I think there's no question
2 about joint replacement.

3 I would suggest that, like another colleague mentioned,
4 the post-approval is different here. We've heard from both
5 sides that this is a dynamic biological organism. I don't know
6 how they are going to assure me, as a consumer, eventually,
7 that when we approve it with a 70% efficacy in 20 -- what do
8 you think, 2020, maybe you'll get the studies done? Is it
9 going to be the same organism in 2025 and have the same
10 efficacy? So my concern is that to try and find that out might
11 be an overly burdensome post-approval study to try to make sure
12 they maintain that efficacy because it's not going to be
13 studied once it's approved. So that summarizes my concerns.

14 DR. EDWARDS: Thank you very much.

15 Dr. Follmann.

16 DR. FOLLMANN: Thank you.

17 I'd like to echo some of the previous speakers who
18 complimented the presenters today. I learned a lot, and I
19 think it helped us all to try and understand the questions.

20 So I want to start by not really addressing this question,
21 but just -- I guess the FDA and Pfizer are in the middle of
22 discussions on how to create this Phase III study. I think
23 it's worth thinking about trying to broaden the inclusion
24 criteria to get people outside of the fusion surgery
25 indication, some other groups that are risk stratified, so they

1 also have a high risk, so it doesn't take forever.

2 The idea that we won't get -- that we're at risk for a
3 Type 2 error, that is, we won't have enough events in this
4 study to really identify a true signal, I think, is not really
5 correct because this will be a study that goes to 48 cases. So
6 if we broaden the inclusion criteria, it might take a little
7 longer, it might take less because maybe we could get more than
8 10 a year at a particular site, as was discussed earlier. So
9 anyway, you know, since the Phase III study hasn't been defined
10 yet, I would mention that as a possibility.

11 So anyway, so Question 1 is really saying how would we
12 interpret STRIVE if it's efficacious and has roughly 70%
13 efficacy in terms of generalizing these other populations. And
14 what I would want to do is to basically look at the data and
15 see can I create someone who has -- who looks like a hip
16 surgery patient, for example. So within the dataset we have,
17 there will be variation in the incision size, there will be
18 variation in the duration of surgery, there will be variation
19 in age and health status and so on.

20 And so from those predictors, I'd like to, as an example,
21 let's take the incision size, look at the median incision size
22 in the study, and then look at the vaccine efficacy separately,
23 those with longer incisions than the median and the vaccine
24 efficacy with those shorter incisions than the median.

25 I would look to see if those are similar or not. If they

1 are similar, then I'm more comfortable generalizing to the more
2 general surgical population. So I'm not going to, like,
3 separately test the vaccine efficacy in those two groups; it
4 would be pretty underpowered. I'm going to look for similarity
5 of vaccine efficacy in those groups. So there are many
6 different ways to do that, I just gave one for illustration,
7 but I'm sure the statisticians at the FDA and Pfizer can think
8 up more sophisticated ways of doing it.

9 The other thing, you know, along with that thought is
10 we're sort of limited by the number of cases. If we have 48,
11 we'll have 24 for values lower than the median and 24
12 infections for values greater than the median. So how can we
13 get greater power to try and look at clues? And so for that, I
14 would recommend that they look at additional outcomes.

15 So it was mentioned earlier that severity of disease might
16 be something that the vaccine could improve, so look, you know,
17 does the vaccine improve severity of the disease for those
18 general surgical-type populations, is the vaccine efficacy
19 similar? But it's more inclusive of a broader endpoint for
20 which we should have greater power, and then we'd be more
21 comfortable that, in fact, we could generalize.

22 Some examples of that might be, like, superficial
23 infections, duration of hospitalizations, do you have to open
24 them up again and so on, and I'm sure you'll have other ideas
25 about that as well. Possibly you could look at Day 90 to 180.

1 I was uncertain why, in the study, which is following patients
2 up to 180 days, why we stop at Day 90. Was it because we
3 thought they'd be rare? Was it because you thought the vaccine
4 efficacy would not be so great? But anyway, I think that would
5 be another thing that you'd want to look at.

6 Along that line, I guess, of broader endpoints,
7 it -- well, it would be very hard, I suppose, to do a study
8 with this severe infection endpoint in these populations
9 with -- where the attack rate is so low. Maybe it's more
10 feasible to do a study with a severity or broader -- a less
11 severe endpoint, maybe we could add more power, and maybe a
12 second study could be done in a population like that where we
13 have a severity easier-to-achieve endpoint that wouldn't
14 require as many patients to get another signal, at least some
15 data in that population.

16 And then a final comment: I, too, was -- I had, I guess,
17 a moment of edification when my colleague to the right
18 mentioned all these different surgeries and that why -- that
19 the joint replacement surgery seems similar to the fusion
20 surgery; the other ones could be very minor and so on. If the
21 approval is given for all of those, it seems like the benefit
22 to cost would be very different in these tendon surgeries or
23 somewhere the risk, I would imagine, of a *Staph* infection is
24 exceedingly low. And so in terms of relative benefit, maybe
25 it's large, but maybe in terms of absolute benefit it would be

1 vanishingly small and, you know, maybe shouldn't be given
2 there. So that's about it.

3 DR. EDWARDS: Thank you.

4 Could the people that aren't speaking turn off the
5 microphones?

6 Karin. Dr. Bok.

7 DR. BOK: Hi. It's fascinating, first of all, to discuss
8 these kind of studies when they're getting so much more complex
9 and the design of the study is so different from what I'm used
10 to, just preventing hepatitis, meningitis. This gets into a
11 much more complex study design, and I want to congratulate FDA
12 and Pfizer for undergoing this kind of study.

13 Now, keeping in mind we are assuming that this vaccine is
14 going to be efficacious and safe, and that's something that I'm
15 struggling to just turn off that part of my brain, I think the
16 medical need is serious, and it's very important that it will
17 be very beneficial for us to have a *Staphylococcus aureus*
18 vaccine. I think that, certainly, from my point of view, if
19 the vaccine is efficacious, it could be generalized to other
20 procedures with similar attack rates and similar risk factors,
21 like the length of the surgery or the type of incision or the
22 use of foreign materials into the surgery. So if you have not
23 only certain orthopedic surgeries that we mentioned, but
24 also -- I'm not an expert, but the sternum surgery where the
25 *Staphylococcus aureus* infection risk is significant, I don't

1 see why, from a pathogenesis point of view, why a vaccine like
2 that would not be efficacious.

3 And also assuming that after ACIP is going to discuss the
4 data again and make a recommendation, there's going to be other
5 Phase IV studies or other follow-up studies to bridge the kind
6 of information that we're all going to have after the Phase III
7 study.

8 Now, we also have to keep in mind that doing a study with
9 an attack rate of less than 1% is very difficult, so I just
10 don't see a way of requiring Pfizer to do that right now
11 without just giving them the right to generalize to certain
12 surgeries that are very similar to the one that's in the study.

13 And from the safety point of view, I have no doubt that if
14 the vaccine is safe in 6,000 individuals and even in the study
15 that they're going to do to control for the log variations, it
16 should be safe in anybody else.

17 So thank you.

18 DR. EDWARDS: Dr. Blackstone.

19 DR. BLACKSTONE: Yeah. So, again, it was nice to be on a
20 very different panel. You're not discussing implanted LVAD
21 devices and that sort of thing, so this is a little bit
22 different. So thank you for, also, the education about these
23 bugs because we have similar problems with endocarditis,
24 particularly with invasive endocarditis. So there's a lot of
25 crosstalk that you could imagine there.

1 I'll just remind us of one fact, and that is the effective
2 sample size for this study is 48, so that's the challenge. If
3 you think about a study of 48, there's going to be, you know,
4 wide confidence limits, as it were, there's going to
5 be -- there's not many things that you can adjust for and so on
6 and so forth.

7 So one of the things that I thought about before coming
8 here is, is this the ideal Phase III study? Let's say you meet
9 the halfway point as Phase II. A Phase III study, although we
10 have said it could be extremely extensive, it doesn't need to
11 be extensive. So in my field there are studies of 70,000,
12 100,000 patients and the like in which we do simple studies,
13 and in fact, NHLBI talks about simple pragmatic trials where
14 you are, instead of having an 800-page CRF, you have one sheet
15 that has just the specific endpoints that you're after, and I
16 could see a Phase III study here that focuses on sort of short-
17 term infections. We don't need all of the fancy stuff that you
18 do need for a Phase II study, and you'll have 3,000 of those,
19 and then have it broader.

20 And again, I would put it, as John has said so well, in
21 those specific procedures in which there's this dead space and
22 often various, you know, be it sutures, be it plates, be it
23 other things that are filling cavities that normally don't have
24 a bunch of bugs in them.

25 DR. EDWARDS: Dr. Wharton.

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 DR. WHARTON: Thank you.

2 So several of the previous speakers have commented on the
3 special point in time we are where we're looking at a vaccine
4 for a subset of the population, and it's a small subset. It's
5 for, apparently, short-term protection for an elective surgical
6 procedure, and it's actually wonderful to be able to have a
7 conversation about how to protect people from *Staph aureus*
8 infection as a complication of surgery. And yeah, it's hard.
9 It's a hard thing to study. It's raised all kinds of
10 interesting and challenging problems, and of course, our
11 scientific knowledge about pathogenesis and other factors
12 related to *Staph aureus* infection are not complete, but we are
13 where we are.

14 There was a question in the FDA presentation about whether
15 or not the study represents a stringent evaluation of vaccine
16 efficacy, and it seems reasonably stringent to me, but it also
17 seems pragmatic, understanding I'm using the word differently
18 than you just used it, that in order to make an assessment in a
19 clinical study about whether or not a vaccine is efficacious,
20 there needs to be some uniformity in the population studied,
21 there needs to be some predictability in the expected rate of
22 the outcome, and it seems to me that by focusing on this
23 particular subset of elective orthopedic surgical procedures
24 where the infection rates are higher than they are for other
25 procedures is a completely appropriate strategy.

1 And it is hard for me to believe that if the vaccine
2 indeed is effective in preventing *Staph* infections in what are
3 these relatively high-risk procedures, that it would not also
4 confer protection for procedures where the infection rate risks
5 were not quite so hot. So I am comfortable with generalizing.
6 That doesn't mean, of course, that the vaccine should be used
7 for all orthopedic procedures, and I think that's where we get
8 to the question about what is the underlying risk of infection
9 in procedures.

10 And there's a lot of reasons why in the different
11 procedures, why that would vary and it -- and one would expect
12 that the clinical groups that make recommendations for vaccine
13 use could address some of the nuances that might not be
14 addressed in product labeling.

15 Regarding the safety question, I think I'm --

16 DR. EDWARDS: Some have addressed safety, and I thought we
17 could -- they could go ahead --

18 DR. WHARTON: Okay.

19 DR. EDWARDS: -- and then if you haven't addressed -- go
20 around for that. But go ahead.

21 DR. WHARTON: So regarding safety, I think the -- there's
22 no particular reason to think that the safety profile would
23 vary based on the type of procedures unless we're concerned
24 that the risk has to do with the underlying risk of invasive
25 staphylococcal infection associated with that procedure. But

1 still, if you have that outcome, you would expect the safety
2 profile to be the same. So I think I'm comfortable that we can
3 generalize from the safety information.

4 DR. EDWARDS: Okay, thank you.

5 Well, I think that this has posed a number of fascinating
6 questions, and certainly, there are still a lot of questions
7 that exist. I do worry a bit about -- certainly, I like the
8 fact that the population is well defined, but I do worry a bit
9 about the nuances of the various people and the management of
10 their various patients and various populations.

11 And I also worry a little bit about if it's so difficult
12 to enroll the patients, are those patients that are enrolled
13 reflective of the entire population of the patients that are
14 undergoing this? So I think that's going to have to be looked
15 at very, very carefully and dissected out.

16 If, however, given those caveats the vaccine efficacy does
17 look good in this population, then I think that, at that point,
18 then one could extend it to some very specific things, and
19 certainly, what John had suggested in terms of the joint
20 replacement seems like a very good step. But as David
21 suggested, I think it would have to be coupled with very, very
22 good postmarketing assessment; it would have to be coupled with
23 very careful assessment of those patients for that as well.

24 So I do have a concern, also, that maybe the biology may
25 not -- this may not contain enough antigens, but that's really

1 not the question either, and if the vaccine does prove itself
2 to be efficacious for the prevention, I think it will be very
3 exciting. And then in that particular approach, then other
4 populations could be looked at with postmarketing surveillance
5 and looked at in very careful ways.

6 Janet.

7 DR. ENGLUND: Thank you. I'm Janet Englund. It's great
8 that everyone's said what I was going to say, so I'll be
9 relatively short.

10 In addressing specifically the questions asked by the FDA,
11 whether this should or should not be generalized to other
12 elective procedures, populations, I feel very strongly that
13 "other" is too broad. I could deal with replacing "other" with
14 "similar" or "similar pathophysiologic" or something, because I
15 do not think, and it's been said, tendon surgeries and other
16 relatively minor -- more minor procedures with less risks of
17 infection and certainly shorter time duration should not be
18 included in this application. I think the company should be
19 hearing it from us that we don't consider them all the same.
20 So that's number one.

21 Number two, I do view this as being a complex study, but I
22 think to establish efficacy, we need to have a complex study
23 with a narrow population. The *Staph* vaccines have failed so
24 many times. We want something to work, and we need to do it in
25 an organized fashion that gives it a chance for showing if

1 there is potential efficacy. So I don't particularly want to
2 extend the efficacy studies. I think things could be extended
3 in a Phase IV postmarketing surveillance.

4 However, where I would like to just be really, really
5 clear is on Question 2 about safety. I feel that doing safety
6 assessments in a total 5- or 6,000 people in a very high-risk
7 complicated patient group with all kinds of underlying
8 neurological, cardiac, diabetic -- I mean, these are difficult
9 patients. I don't have to -- as a pediatrician, I don't have
10 to deal with all of those problems at once.

11 I really think we need more safety data, and I feel
12 strongly that that can be separated, as has been said, that
13 could be separated in another study in some other, you know, in
14 some of the -- you know, knee replacement or some of the other
15 studies where we don't really need to have a positive *Staph*
16 *aureus* culture as an endpoint.

17 So I think, number one, I feel similar surgeries, not just
18 others, and number two, I want more safety data. And I've been
19 on the Committee, and I'm leaving now, but specifically, I want
20 safety data on the population for which the vaccine is going to
21 be used. We have had time after time where it's not been used
22 in the older people or in the minority people or obese people,
23 so we really want safety data in the population for which one
24 would perceive this to be used.

25 Thank you.

1 DR. EDWARDS: Thank you, Dr. Englund.

2 Dr. El Sahly.

3 DR. EL SAHLY: In addressing the first question,
4 whether -- the reasons why the efficacy should or should not be
5 generalized to other orthopedic surgical population, for the
6 reasons stated previously, there may be reasons to believe that
7 the efficacy can be extended to certain orthopedic surgical
8 procedures where there would be a foreign body. The location
9 is very -- subject to trauma, like knee and hip where *Staph*
10 *aureus* can build a niche from which it grows. So some
11 orthopedic surgical procedure, there's possibility to
12 generalize.

13 But the downside is -- the downside would be is that if we
14 do not do those studies in the other -- no, let's put it that
15 way. If we -- yes, if we extend the efficacy to those
16 populations, then we are missing an opportunity to study, in
17 those populations, if it's -- because if a vaccine is licensed,
18 let's say, for a narrow indication, the barrier to enrolling
19 volunteers into a subsequent study will be much lower because
20 the experimental part, it's still experimental, but it's much
21 more acceptable.

22 So I would imagine there will be only a fraction of the
23 resistance remaining in potential enrollees there, so it may be
24 easier than presented to do such a study.

25 Having said that, I do understand the burdens, the

1 financial burdens, you know, entailed.

2 The issue of safety, 6,000 people followed for this amount
3 of time would be good, but there would be a lot of post-
4 licensing work to be done.

5 DR. EDWARDS: Thank you.

6 Dr. Kotloff.

7 DR. KOTLOFF: Yes. I think that this is a very important
8 vaccine to have available for patients to try to eliminate some
9 very serious infections. And I applaud Pfizer and the FDA in
10 picking a prototype group that exemplifies a lot of the factors
11 that are associated with *Staph* infections. So these are
12 large -- you know, these can be large incision, prolonged
13 operations with ICU stays and bacteremic infections, etc., etc.

14 So I think that they've taken a challenging prototype, and
15 we'll be able to learn a lot for that, and I think it presents
16 quite a challenge to a vaccine to be able to prevent disease in
17 such a complex population.

18 Unfortunately, I don't think, from an efficacy standpoint,
19 that I, as a pediatric infectious disease doctor, not an
20 orthopedist, have heard enough information that would allow me
21 to say whether or not the information from that one group can
22 be generalized to other groups and what criteria I would use to
23 know whether those other groups could be -- the results could
24 be applicable.

25 So I agree with Dr. Englund that I think that the

1 recommendation should be somewhat vague in saying that it can
2 be generalized to similar groups, and then I think what happens
3 is it puts the burden on the people who make policy
4 recommendations to try to evaluate the risk-benefit, and I
5 think that's going to be challenging, especially when there is
6 a low-risk group.

7 I agree, for efficacy, that we should do our best to have
8 closed licensure evaluations in subgroups to try to inform
9 these decisions and to try to understand the efficacy in other
10 populations so that we can more wisely make recommendations
11 about who should get this vaccine.

12 From a safety standpoint, I think the biggest issue that I
13 see -- I mean, if it looks perfectly safe in this population,
14 then I think you could probably generalize it to other
15 populations. But what if there are certain risks and then you
16 have to weigh risk-benefit, and I think that actually would be
17 even more challenging to understand when you don't really know
18 efficacy, especially in lower-risk populations, then how can
19 you balance safety and efficacy and risk and benefit? And
20 that's going to be challenging, and I think, again,
21 postmarketing surveillance will be important in that regard.

22 And I actually, also, again agree with
23 Dr. Englund that perhaps a more expanded pre-licensure safety-
24 only assessment would be worthwhile doing to look at other
25 populations in that way.

1 DR. EDWARDS: Thank you.

2 Dr. Levy. Ofer?

3 (No response.)

4 DR. EDWARDS: Okay. Okay, why don't we go ahead to
5 Dr. Lynfield and then we'll come back to Dr. Levy.

6 DR. LYNFIELD: One of the joys, I guess, of being on this
7 side of the room is that a lot of the discussion has already
8 transpired, and I do agree with what my colleagues have said.
9 I do like the term "generalized to other similar orthopedic
10 surgical populations." I think that makes sense. I think the
11 examples that have been given, knee, hip, perhaps sternal -- I
12 don't know about that; that wasn't considered orthopedic but
13 could be a consideration. That makes sense to me.

14 I am concerned that we would be including high-risk people
15 amongst the population in terms of their adequacy of an immune
16 response as well as potential safety issues. I think that the
17 post-licensure studies would help with that. I do like the
18 idea of perhaps having a larger group to look at safety,
19 ensuring that we do get representation of higher-risk groups.
20 Although in one of the prior vaccine trials the issue was
21 infection having a higher case fatality rate, and so, you know,
22 there are things that we may not be able to observe until it is
23 the population that is having the surgery and being exposed to
24 that risk. Nevertheless, I think that would be a useful thing
25 to do.

1 DR. EDWARDS: Dr. Long.

2 DR. LONG: I think that the case scenario in the
3 population that's been chosen is the most difficult worst
4 scenario to look for vaccine efficacy, large implants, large
5 surgery, all of the things that you've said, so that I would be
6 absolutely comfortable if this works, is effective in those
7 patients, that it would be effective in lesser surgeries, and I
8 would not limit the -- to any way the other elective orthopedic
9 surgical population.

10 Just having taken care of a child who had a tendon
11 lengthening who got a terrible deep staphylococcal infection
12 and, you know, lost the ability to use that limb in a patient
13 who -- usually those are done on patients who don't have a lot
14 of leeway. So anyhow, I do not have any concerns about the
15 efficacy in other populations if it works in this one. And I'm
16 glad that they've asked this up front, and I wouldn't -- they
17 can't change the study after they've enrolled it first, and I
18 wouldn't want to enter other patient groups because then it
19 would lose the cleanliness of does it work in the population
20 you most want to use it, which is this population.

21 As far as safety is concerned, we -- and then others
22 would, as we do with vaccines, Dr. Kirkpatrick, we have
23 completely separate recommending bodies; we have lots of
24 vaccines that aren't recommended in exactly the ages in which
25 they have been licensed, so that happens with vaccines. It

1 doesn't happen so well with drugs and maybe devices, which are
2 still up to individuals.

3 As far as safety is concerned, it's a little bit harder.
4 I think 6,000 is enough to answer the question in this risk
5 population, for sure. If we get to lower risk number needed to
6 vaccinate to help the number needed to vaccinate to harm, we
7 have completely different questions. So the questions of
8 safety for the lesser orthopedic procedures, I think, would be
9 harder to answer with a single study.

10 DR. EDWARDS: Thank you.

11 Dr. McInnes.

12 DR. McINNES: Sure, hello. Can you hear me?

13 DR. EDWARDS: Yes, we sure can. Thank you.

14 DR. McINNES: I'm sorry I can't be there with you. I
15 recently have had orthopedic surgery on my right foot, and I
16 have spent the last few hours looking at my foot and speaking
17 to any *Staphylococcus aureus* in the vicinity, daring it to come
18 near.

19 I am really impressed with the conversation that we've had
20 today, and I don't have much to add in terms of the efficacy
21 and safety parameters. My colleagues have, I think, spoken
22 much of what I would say. I have two points to make.

23 I'm wondering whether all the juice has been squeezed out
24 of the lemon with regard to microbiological endpoints and
25 thinking about the concordance of the genotypes and nasal and

1 surgical site infection isolates and how much thought was given
2 or still could be given to, perhaps, secondary endpoints that
3 are microbiological in nature because we've had other vaccines
4 that we've been able to demonstrate that with, and I wonder why
5 there was not more emphasis placed on that.

6 And then the second issue is on the -- I was quite taken
7 by the comment this morning about the surgeons who are largely
8 used to participating in complex efficacy trials around devices
9 and the bearing of an operational question or a systems
10 question into this trial where recruitment, retention, and
11 endpoint analysis is so important, and it seems like this is a
12 golden opportunity to try to actually bury a question, an
13 operations-based question into this trial.

14 Those are my comments. Thank you.

15 DR. EDWARDS: Thank you, Dr. McInnes.

16 Dr. Monto.

17 DR. MONTTO: Being, I think, the absolute last gives me
18 very little to say that hasn't been said before. When I first
19 heard about the large number of sites and knowing what is done
20 at different sites in terms of preoperative care, I did have
21 that concern about small numbers at each site, and I think our
22 Chair has articulated that very, very succinctly.

23 I really am in favor of a specific but broad definition,
24 and I do think it should be as specific as possible but should
25 be broad enough so that we can get some of the postmarketing

1 information because you're not going to get very much post-
2 marketing information if it's not used for those specific
3 outcomes. And I do think we do -- we have to worry about
4 safety, given past history. I applaud the investigators, the
5 Sponsors, for doing a study of this length. I do also hope
6 they can keep their teams, etc., in place over this long period
7 of time using the same criteria for evaluating various events
8 as they take place. And I do think we still need to keep an
9 eye on the safety issue because we do have the past history.

10 DR. EDWARDS: Thank you very much. I think that perhaps
11 those of you on this side of the table weren't able to express
12 your concerns about the safety issue, so why don't we just
13 start with David and go down. And I think this side of the
14 table did that and so did Melinda.

15 So safety concerns: Assuming that this demonstrates
16 safety in this population, do you think the safety could be
17 generalized to other orthopedic surgery procedures, population?

18 David.

19 DR. GREENBERG: Sure. Well, as I mentioned before and
20 echoed by others, we didn't spend a lot of time on the safety
21 data in the prior studies; they were relatively small, but we
22 didn't spend a lot of time on that. I don't have any reason to
23 think that the safety would be different in a broader
24 population, but I also support collecting safety information in
25 some broader population. If it's other specific orthopedic

1 procedures, it would make sense. I think anyone who's going to
2 use the product would want to know that it's been tested in the
3 target population, the indicated population.

4 DR. EDWARDS: Mr. Toubman.

5 MR. TOUBMAN: I should also do what I didn't do before;
6 most of the people thanked the folks at Pfizer and FDA for the
7 excellent presentations and being here and answering our
8 questions.

9 My answer to that on the safety is similar to my answer to
10 the other thing, which is we really need to look at other
11 populations. Maybe it's not as great a concern, the safety
12 point, but it seems to be something that has to be done and
13 that can be done, I understand it -- as I understand it, more
14 efficiently.

15 I do have one question or comment about the safety data,
16 and this is sort of a general comment; it's not specific to
17 this particular study, but on Slide 26, where the patient
18 demographics are presented and risk factors, it lists male; it
19 doesn't list female. While generally you could do the opposite
20 from 100, but not entirely. But more concerning is it says
21 white, and then everybody else is miscellaneous, I guess, and I
22 think it's really important to break that down because that
23 could be really relevant for safety. So I urge Pfizer and any
24 other sponsors to be much more specific in the data. And as in
25 the past, we've seen the data is inadequate, meaning if it's

1 totally a poor representation of certain minorities, we need to
2 know that.

3 Thank you.

4 DR. EDWARDS: Dr. Stephens.

5 DR. STEPHENS: I also want to thank Pfizer and the FDA,
6 and I think I did address this in the sense that I think the
7 safety could be generalized more broadly.

8 DR. EDWARDS: Dr. Kirkpatrick.

9 DR. KIRKPATRICK: I think they took a relatively
10 vulnerable patient population, and in my understanding, that
11 means that people that are younger, healthier, nonsmokers, all
12 that kind of stuff, would probably have less chance of having
13 an adverse effect, so I think the safety is generalizable.

14 DR. EDWARDS: Dr. Follmann.

15 DR. FOLLMANN: I would say my comments on efficacy with
16 the idea of trying to identify someone who is elective,
17 orthopedic surgeon, characteristics would apply to safety, as
18 well, so you'd look at the safety profile for someone within
19 the study who could be similar to what you're trying to
20 generalize to. The only other thing, I guess, is -- I guess
21 this almost goes without saying, but you should be looking at
22 baseline IL-2 levels in this study.

23 DR. EDWARDS: Dr. Bok.

24 DR. BOK: I think I commented on safety a little bit, but
25 just to clarify, I agree with Dr. Englund. I would be more

1 concerned not about testing it on someone that undergoes a hip
2 replacement, but to include demographics through percent
3 demographics and comorbidities in the safety study to make sure
4 it is tested in the people that it's going to be indicated for.

5 DR. EDWARDS: Dr. Blackstone.

6 DR. BLACKSTONE: Yeah, I'd echo what was said over here,
7 and that is the lower the risk of the infection, the higher the
8 risk of safety being more of a problem. And also, cost-
9 effectiveness being an issue in these days when we're all
10 worried about cost, it may not end up being cost effective in
11 these low-risk groups.

12 DR. EDWARDS: Well, I'd certainly think that the last
13 several meetings at VRBPAC we have looked at populations that
14 are normal/not normal healthy infants, and the fact that we're
15 looking at older individuals and individuals with more
16 comorbidities makes it much more complicated.

17 So I think that the safety numbers here are a little on
18 the low side, and I think that coupling them with additional
19 studies, maybe in immunogenicity or coupled with studies in the
20 joint replacements, would be helpful to get a broader array,
21 and certainly, postmarketing safety studies would need to be
22 done.

23 So, okay, Dr. Levy, are you on?

24 DR. LEVY: I am on.

25 DR. EDWARDS: Thank you.

1 DR. LEVY: There are two things. One, I wanted to say a
2 word about correlates of protection; these are really
3 important, and we're still in the early days of *Staph* vaccines
4 and understanding what will induce a protective immune
5 response. So I think this company and all companies, through
6 FDA, should be encouraged to have a broad -- to cast a broad
7 net in terms of immunogenicity and move beyond just measuring
8 antibodies, but also looking at T-cell responses. I think some
9 of the introductory talk this morning emphasized that. And
10 also innate responses.

11 I'd also say, in terms of safety, that there are surprises
12 and some of the information reviewed this morning, the
13 published *JAMA* study, were the worst outcomes with a particular
14 *Staph* vaccine.

15 So, again, I think that, given it's early days, given
16 that -- I'm not sure any information was presented indicating
17 that we know, you know, that it's the exact same range of
18 strains that are causing this -- these kinds of postoperative
19 infections after each type of surgery, I would be a little
20 reticent or hesitant to quickly say that it's all comparable
21 with regards to safety. So I guess I'm striking a conservative
22 tone.

23 DR. EDWARDS: Okay, thank you.

24 Well, certainly I would like to mirror the compliments of
25 the FDA and of Pfizer and the presentations and also for the

1 insight of the Committee members and help in terms of
2 addressing these questions. So if there are not any other
3 questions or comments from the FDA, then I think we will
4 adjourn and have everyone -- Dr. Gruber? The FDA Gruber.

5 DR. M. GRUBER: Yeah, I have -- so I have a concern. So
6 we heard a spectrum of, you know, opinions, and what I really
7 wanted to -- well, I wanted to make a point.

8 We've heard, you know, there is some concern about -- by
9 some members, that one could not easily extrapolate safety
10 because of, you know, issues that were discussed, different
11 strains that may play a role.

12 But there were also comments made about, you know, looking
13 at or gathering safety information in subpopulations, you know,
14 different groups, groups with comorbidities.

15 I mean, I think there was one thing that we tried to point
16 out this morning in Dr. Roberts's introductory remarks, and
17 that is the feasibility even to do these type of studies, and
18 you're looking at subpopulations, you're looking at people who
19 undergo elective orthopedic surgery. Of course, there is a
20 fair number. We saw, you know, 10 million over the next decade
21 for spinal, you know, surgery. But by and large, the -- I
22 mean, I was surprised to hear that studying 3,000 subjects
23 which would get the investigational vaccine is not enough
24 because we're not, you know -- and then, you know, extending
25 this to studying subpopulations, I mean, I'm struggling with

1 the concept on how we -- you know, how this can be accomplished
2 reasonably in, you know, a pre-licensure setting, and I wanted
3 to -- Janet, you made that point and others, you know, to
4 expand on this a bit.

5 DR. ENGLUND: Well, I'm willing to do that because I am
6 willing to bet money that the minorities and subpopulations
7 that have already been enrolled are not representing the U.S.
8 population, and perhaps I'm wrong, so I don't know. But having
9 done studies, it's very, very difficult to recruit all of the
10 same population in a study; especially in a time of need, it's
11 just very difficult. Those people in my center refuse to be in
12 the study even when I try.

13 And then if you have to have special languages, like, you
14 know, languages that -- not Spanish, but other languages, to
15 deal with that, and yet we want to have representation. And I
16 think there is a potential benefit for some of the knee
17 surgery, and I think you could have a sub-study that is based
18 on safety, and I think you could do it to fulfill, to get the
19 African Americans and some of the Asians and whatever
20 minorities, you could do a safety study. It has a potential
21 benefit; it could be one of these pragmatic two-page forms at
22 the end with a couple blood draws and safety.

23 DR. M. GRUBER: But Janet, if the concern is past history,
24 as some of the Committee members expressed, wouldn't you need a
25 *Staph* infection to really get the full picture of what the

1 safety profile looks like? And then it becomes really very
2 difficult.

3 DR. ENGLUND: I agree that would be best, but I don't -- I
4 understand, if you had a *Staph* infection, too, then that is
5 going to incredibly increase the sample size. So I am
6 not -- no, I'm not looking for a *Staph* infection; I'm looking
7 for an unintended or unobserved vaccine reaction.

8 I just really think we need confidence when we approach
9 our patients that the vaccine appears to be safe, and perhaps
10 I'm in the minority, 3,000 getting -- it's not 6,000 getting
11 the vaccine; it's 3,000 getting the vaccine because half are
12 getting placebo, and that's a pretty small number to be given
13 to a vulnerable population.

14 DR. EDWARDS: Dr. Bok.

15 DR. BOK: Yeah, I just -- because I also echo Janet's
16 comment. I was coming from the point of view of assuming that,
17 with an infection, I mean, there's no risk in the placebo group
18 like it was in the Merck trial. So assuming that the vaccine
19 is safe, then after that, what I was thinking is well, Kathy
20 said, in the last meetings, we've been discussing these complex
21 populations like in the zoster or hepatitis trial where
22 sometimes we've commented on the need of having representatives
23 of all demographics and people with certain comorbidities to
24 make sure the vaccine is safe. But that is only -- I mean,
25 we're not going to know that until we know the safety of this

1 trial, I think, you know?

2 DR. EDWARDS: The postmarketing issues can be very
3 important ones as well.

4 So any other comments? FDA?

5 (No response.)

6 DR. EDWARDS: Okay. Thank you very much, the meeting is
7 adjourned.

8 DR. McINNES: Kathy, this is Pamela. Can I say something?

9 DR. EDWARDS: Please.

10 DR. McINNES: I think this is a very different population
11 than we are used to talking about in terms of vulnerability. I
12 mean, we're normally -- if we think about it, we're normally
13 dealing with healthy populations with vaccines, by and large,
14 and we accept the comorbidities that go with a so-called mass
15 population approach. I think these patients that we're looking
16 at here are not in the way we think about vulnerability, so I
17 think we have to moderate our thinking about the safety
18 database on this. These are actually people at significant
19 risk for infection, and I think the risk-benefit ratio is
20 altered with this population. So I just wanted to put that on
21 the table. And the benefit, the risk -- the risk piece is
22 mitigated by the potential increased benefit.

23 DR. EDWARDS: Thank you for your wisdom, Dr. McInnes.
24 Thank you.

25 I think now, after the third try, that we're ready to

1 adjourn.

2 (Laughter.)

3 DR. EDWARDS: Thank you.

4 DR. LEVY: Thank you, Kathryn and everybody. Bye.

5 (Whereupon, at 3:31 p.m., the meeting was concluded.)

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

C E R T I F I C A T E

This is to certify that the attached proceedings in the
matter of:

150TH MEETING OF THE VACCINES AND RELATED BIOLOGICAL PRODUCTS
ADVISORY COMMITTEE

November 7, 2017

Silver Spring, Maryland

were held as herein appears, and that this is the original
transcription thereof for the files of the Food and Drug
Administration, Center for Biologics Evaluation and Research.

TOM BOWMAN

Official Reporter

1
2
3

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947