

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

FOOD AND DRUG ADMINISTRATION (FDA)

Packaging, Storage, and Disposal  
Options to Enhance Opioid Safety  
Exploring the Path Forward

Monday, December 11, 2017

8:30 a.m. to 4:20 p.m.

Sheraton Silver Spring  
8777 Georgia Avenue  
Silver Spring, Maryland

C O N T E N T S		
AGENDA ITEM		PAGE
Welcome, Overview, Introductions		
Irene Z. Chan, PharmD	4	
Opening Remarks		
Scott Gottlieb, MD	15	
Session 1: Presentation		
Packaging, Storage, and Disposal Options to		
Enhance Opioid Safety: Target Problems and		
Labeling Considerations		
Irene Z. Chan, PharmD	30	
Panel Discussion		44
Moderators - Irene Z. Chan and Iris Masucci		
Audience Participation		99
Session 2: Presentation		
Design Considerations for Packaging,		
Storage, and Disposal Options to		
Enhance Opioid Safety		
Gary Slatko, MD	105	
Panel Discussion		119
Moderators - Gary Slatko and Irene Z. Chan		
Audience Participation		178

1	Session 3: Presentation	
2	Regulatory Considerations for Packaging,	
3	Storage, and Disposal Options to Enhance	
4	Opioid Safety	
5	Patrick Raulerson, JD	188
6	Panel Discussion	200
7	Moderators - Patrick Raulerson and	
8	James Bertram	
9	Audience Participation	247
10		
11	Session 4: Presentation	
12	Integrating Packaging, Storage, and	
13	Disposal Options into the	
14	Medication Use System	
15	Kayla Cierniak, PharmD	256
16	Panel Discussion	269
17	Moderators - Irene Z. Chan and Sharon Hertz	
18	Audience Participation	321
19	Summary and Closing Remarks	
20	Irene Z. Chan, PharmD	326
21		
22		



1 FDA, the Duke-Margolis Center for Health Policy  
2 previously convened an expert workshop in June of  
3 this year to examine the potential role of  
4 packaging, storage, and disposal options. We  
5 intend to continue that conversation here today,  
6 and we want to advance our understanding of the  
7 specific problems that these types of options can  
8 help address, how they may be designed, and what  
9 types of data are needed to evaluate these options,  
10 both in the pre-market and the post-market  
11 settings.

12 We hope the information gathered here will  
13 allow FDA to continue creating a regulatory  
14 framework that supports and encourages the  
15 development and approval of these packaging,  
16 storage, and disposal options to enhance opioid  
17 safety.

18 There are a few housekeeping items and  
19 ground rules I'd like to review. The restrooms are  
20 located adjacent to the elevators, down the hall to  
21 the left. The WiFi network information is listed  
22 at the registration table. If you need shuttle

1 service to the metro, please see staff at the  
2 registration desk. If you have an emergency please  
3 also see the registration desk. Lunch options are  
4 available in the hotel as well as outside the  
5 hotel. For assistance, see the registration desk.  
6 Please silence your cell phones, smartphones, and  
7 any other devices if you have not done so already.

8 This workshop is being webcast and  
9 audiotaped. Transcripts and tapes of the workshop  
10 will be made available on the FDA website after the  
11 workshop. You were provided a copy of the agenda  
12 at the registration desk, and we will stick to the  
13 schedule, so please return from breaks and lunch  
14 promptly. Please do not interrupt the speakers.  
15 Public comment will only be taken during the  
16 audience participation periods as identified on the  
17 agenda.

18 The audience participation periods are at  
19 the end of each session time to allow for comments  
20 that pertain to that particular session. Please  
21 note that this workshop is not intended to discuss  
22 the merits or regulation of any specific product.

1 We ask that the audience refrain from asking  
2 product-specific development questions of our  
3 panelists.

4           During the audience participation periods,  
5 the microphone for audience participation will be  
6 located between the panelists and the audience, as  
7 you see there. If you wish to provide comments on  
8 the topic, please form a line behind the microphone  
9 at the appropriate time, and an FDA staff person  
10 will be there to assist you. For our panelists in  
11 the room today, as you speak, please make sure you  
12 are using the microphone in front of you, and  
13 please also identify yourself each time that you  
14 speak.

15           What I'd like to do is to start off with an  
16 introduction of our assembled panel of experts and  
17 stakeholders at the table. I'd like to ask that we  
18 all go around and introduce ourselves briefly.  
19 That includes both the FDA and the non-FDA panel  
20 members. So if we can start on that end with  
21 Dr. Bateman.

22           DR. BATEMAN: Good morning. Brian Bateman.

1 I'm chief of obstetric anesthesia at Brigham and  
2 Women's Hospital and associate professor at Harvard  
3 Medical School. I do research in  
4 pharmacoepidemiology, focused on opioid use during  
5 pregnancy and in the perioperative period.

6 DR. WALSH: Good morning, everyone. My name  
7 is Sharon Walsh, and I am a professor of behavioral  
8 science, psychiatry, pharmacology, and  
9 pharmaceutical sciences at the University of  
10 Kentucky and also the director of the Center on  
11 Drug and Alcohol Research. I do clinical research  
12 on opioid use disorder, treatments, and abuse  
13 liability.

14 MR. SMITH: My name is Chris Smith. I'm the  
15 director of federal public policy with the National  
16 Association of Chain Drug Stores.

17 DR. RAO-PATEL: Good morning, everyone. I'm  
18 Anu Rao-Patel. I'm a physician. I also work at  
19 Blue Cross Blue Shield of North Carolina. I'm here  
20 representing both my plan as well as the Blue Cross  
21 Blue Shield Association. In addition to my role at  
22 Blue Cross, which is primarily utilization

1 management, I'm leading an internal opioid work  
2 group, overlooking for strategies to deal with the  
3 opioid crisis. I also continue to see patients on  
4 a part-time clinical basis.

5 DR. MIECH: Good morning. My name is  
6 Richard Miech, and I'm professor at the University  
7 of Michigan and principal investigator of  
8 Monitoring the Future, which is a survey that  
9 tracks trends in adolescent drug use. We survey 40  
10 adolescents ever year in the 48 contiguous states  
11 with a nationally represented sampling.

12 DR. MENDELSON: I'm John Mendelson. I'm an  
13 internist and a clinical pharmacologist. I'm  
14 currently at the Friends Research Institute where I  
15 conduct some clinical research. I'm also active in  
16 clinical practice, so I monitor the past as opposed  
17 to the future and the present. I'm an entrepreneur  
18 with a digital health start-up that's not related  
19 to opioids.

20 DR. GREEN: Good morning. I'm Jody Green,  
21 [inaudible - feedback] Development Center. I study  
22 misuse and abuse, burden of prescription in

1 patients, as well as pediatric exposures and safety  
2 of products in the home for consumer products as  
3 well as prescriptions.

4 DR. EMMENDORFER: Tom Emmendorfer with  
5 Department of Veterans Affairs, and I'm the deputy  
6 chief consultant for Pharmacy Benefits Management  
7 Services.

8 DR. CICCARONE: Good morning, everybody.  
9 Dan Ciccarone, professor of family community  
10 medicine at UCSF. My research is primarily around  
11 the public health aspects of heroin and opioid use.

12 MS. COWAN: Hi. Penney Cowan, founder and  
13 CEO of the American Chronic Pain Association. We  
14 provide peer support and education for people  
15 living with pain.

16 MS. WHALLEY BUONO: Hi. I'm Elizabeth  
17 Whalley Buono. I work within the patient  
18 medication adherence division of the MeadWestvaco  
19 Packaging Corporation.

20 MS. DORGAN: Hi. I'm Carolyn Dorgan. I'm  
21 an engineer and team leader reviewer of combination  
22 products team lead in the Office of Device

1 Evaluation at the FDA.

2 DR. BERTRAM: My name is James Bertram. I'm  
3 with the Center for Device and Radiological Health.  
4 I'm a jurisdiction officer, so I look at products  
5 that touch each of the centers in the agency.

6 MR. RAULERSON: My name is Patrick  
7 Raulerson. I'm a senior regulatory counsel in  
8 CDER. I work on combination products issues and  
9 other portfolio matters.

10 DR. SLATKO: Good morning. I'm Gary Slatko.  
11 I'm the association director in the Office of  
12 Medication Error Prevention and Risk Management in  
13 CDER.

14 DR. MEYER: Good morning. I'm Tamra Meyer.  
15 I'm the acting team lead for the prescription drug  
16 abuse team in the Office of Surveillance and  
17 Epidemiology.

18 DR. STAFFA: Good morning. I'm Judy Staffa.  
19 I'm also with the Office of Surveillance and  
20 Epidemiology. I oversee our office's activities in  
21 the area of opioids.

22 DR. MASUCCI: Good morning. I'm Iris

1 Masucci from the Office of Medical Policy in FDA's  
2 Center for Drug Evaluation and Research.

3 MR. TRAN: Good morning. I'm Paul Tran.  
4 I'm a pharmacist in the Office of Surveillance and  
5 Epidemiology.

6 DR. THROCKMORTON: I'm Doug Throckmorton.  
7 I'm the deputy director for regulatory programs in  
8 the Center for Drug Evaluation and Research. Among  
9 the things I help work on in the center are  
10 controlled substances.

11 DR. HERTZ: Sharon Hertz. I am the director  
12 for the Division of Anesthesia, Analgesia, and  
13 Addiction Products in the Office of New Drugs, in  
14 CDER.

15 DR. CHIAPPERINO: Good morning. I'm Dominic  
16 Chiapperino. I'm the acting director of the  
17 controlled substance staff in CDER.

18 DR. RAUSCH: Good morning. I'm Paula  
19 Rausch. I am the associate director of research  
20 and risk communications in CDER's Office of  
21 Communications, overseeing research related to drug  
22 and drug safety related issues, including opioids.

1 (Introductions inaudible - off mic.)

2 DR. KELMAN: Hi. Jeff Kelman, Centers for  
3 Medicare and Medicaid Services. I'm the chief  
4 medical officer for Medicare.

5 MS. MORGAN: Hi. Sharon Morgan at the  
6 American Nurses Association. I'm an RN and an  
7 adult nurse practitioner. I have over 25 years  
8 experience in acute care, hospice, palliative care,  
9 infectious diseases, and Third World health care.  
10 Thank you.

11 MR. WEBB: Good morning. Kevin Webb,  
12 director of government affairs and advocacy at  
13 Mallinckrodt Pharmaceuticals. I lead our corporate  
14 opioid safe use, abuse, diversion, and disposal  
15 initiatives for the organization.

16 DR. SCHARMAN: I'm Elizabeth Scharman. I'm  
17 director of the West Virginia Poison Center and  
18 professor of clinical pharmacy at West Virginia  
19 University; research in unintentional poisonings in  
20 children, and my research and teaching areas are  
21 clinical application of evidence-based research.

22 DR. TWILLMAN: Good morning. I'm Bob

1 Twillman. I'm the executive director of the  
2 Academy of Integrative Pain Management. We're a  
3 national, multidisciplinary organization for pain  
4 care providers.

5 DR. PATEL: Hello. I'm Ashesh Patel. I'm  
6 an internist practicing in Washington, DC. I'm  
7 also the governor of the DC chapter of the American  
8 College of Physicians.

9 DR. CHAN: Thank you, everyone. I would  
10 also like to identify the FDA press contact, Tara  
11 Rabin. If you're in the room, if you could stand.  
12 Thank you very much.

13 Thank you, everyone for introducing  
14 yourselves. Before we proceed with the workshop,  
15 I'm very honored today to introduce our  
16 commissioner, Dr. Scott Gottlieb, who will be  
17 providing some opening remarks. Dr. Gottlieb was  
18 sworn in as the 23rd commissioner of Food and Drugs  
19 on May 10, 2017. He is a physician, medical policy  
20 expert, and public health advocate who previously  
21 served as the FDA's deputy commissioner for medical  
22 and scientific affairs; and before that, as a

1 senior advisor to the FDA commissioner.

2 Dr. Gottlieb.

3 **Opening Remarks - Scott Gottlieb**

4 DR. GOTTLIEB: Thanks a lot. Thanks for  
5 having me. It's a real honor to be with such a  
6 great group today.

7 I want to thank you for joining us today for  
8 this discussion on how packaging options could play  
9 a role in driving more appropriate prescribing of  
10 opioids. It's widely accepted that the epidemic of  
11 opioid addiction has reached tragic proportions,  
12 and the scope of the crisis makes this problem very  
13 hard for us to fully remedy, and I think it's clear  
14 for all of us involved that there's no single  
15 solution and there's no magic bullet to this  
16 challenge.

17 No one agency acting on its own unilaterally  
18 can stem this crisis. It's going to take  
19 concerted, coordinated action by everyone involved,  
20 and it's going to take layers of different  
21 solutions to start reducing the rate of new  
22 addiction and helping those who are currently

1       addicted make the transition to lives of sobriety.

2               We need to be creative and take advantage of  
3       every tool and opportunity we have to advance these  
4       goals. Taking on this crisis remains my highest  
5       priority since I landed in this role of FDA  
6       commissioner, and it's one of the highest  
7       priorities, as you know, of the administration as  
8       well. And I believe how we package opioids can be  
9       a big part of our framework that drives more  
10      appropriate prescribing. That's why I think the  
11      discussion today is so important and why I'm so  
12      delighted to be here.

13              In conjunction with today's meeting, our  
14      opioid policy steering committee is also publishing  
15      a Federal Register notice that asks certain  
16      questions related to steps we might take to better  
17      address the crisis. The two actions, the meeting  
18      today and the questions we're seeking comment on in  
19      the new FR notice, share some common threads about  
20      the steps we might take going forward, and I want  
21      to share with you some of our thinking.

22              There are a lot of challenges when it comes

1 to the way that opioids are being prescribed. If  
2 there were not tragic mistakes being made, we  
3 wouldn't have the crisis that we now face. And  
4 because the epidemic has grown so vast, so  
5 pervasive, and so deadly, the kinds of actions we  
6 must consider to stem the tragedy are in my view  
7 going to be far more intrusive than the steps we  
8 might have taken a decade ago that could have  
9 slowed the rate of new addiction in the scope of  
10 the current crisis.

11 This meeting about the use of packaging  
12 solutions, more broadly beyond the use in limiting  
13 quantities of opioids available for misuse,  
14 includes the use of packaging innovations to  
15 improve storage and disposal and measure adherence.  
16 All this work has a goal of preventing misuse.

17 For example, improving disposal of unneeded  
18 opioids is another high effective way to reduce the  
19 supply on the market. But packaging can also be a  
20 tool to address certain aspects of the prescribing  
21 challenges that we face related to opioids. And  
22 with respect to those clinical challenges, I see

1 these broad areas of prescribing activity that we  
2 need to take new steps to try to address.

3 We know, for example, overprescribing for  
4 routine medical problems can probably be suitably  
5 addressed with non-opioid alternatives. This is,  
6 for example, the 30-day supply of Vicodin for a  
7 tooth extraction or for a routine musculoskeletal  
8 injury. Why couldn't a 3-day course of treatment  
9 be sufficient for a first dispensed or a trial of  
10 ibuprofen?

11 We also know that some of this overexposure  
12 to opioid drugs ends up fueling new addiction, and  
13 multiple studies have shown that excessive  
14 quantities of opioid medications are routinely  
15 prescribed for all types of surgical procedures as  
16 well as after emergency department visits for  
17 painful conditions. Most patients save leftover  
18 pills, so large amounts of opioids are  
19 unnecessarily made available for diversion.

20 Today I want to focus on this routine  
21 overprescribing of opioids for more common medical  
22 problems, including conditions that might be

1 appropriately handled with non-opioid alternatives.  
2 In my view, the question is this. How can we put  
3 some speed bumps in front of this behavior to tell  
4 everyone to slow down a little? That's where  
5 changes in packaging could be a part of a more  
6 comprehensive approach to reducing routine  
7 overprescribing.

8           Consider this one hypothetical scenario.  
9 Imagine if FDA worked with medical professional  
10 societies to create expert guidelines about what  
11 appropriate prescribing and dispensing should be  
12 for different medical needs. Under this  
13 hypothetical, the dental society might promulgate  
14 guidelines and stipulate that no dental procedure  
15 should require more than a 4-day course of  
16 treatment of the initial fill. If these guidelines  
17 were in place and had sufficient scientific  
18 support, under our current regulations we'd be able  
19 to incorporate this information into product  
20 labeling.

21           Once these were part of our labeling, it  
22 opens up certain possibilities about how we drive

1 more appropriate prescribing. We could, for  
2 example, require that the immediate-release drugs  
3 be packaged in units that comport with the majority  
4 of these consensus durations.

5 Let's say that recommendations for most of  
6 the medical societies cluster around proposals for  
7 2-, 4-, and 6-day courses of therapy. Could we  
8 require certain drugs be packaged in these units  
9 like we see prednisone packs currently sold on the  
10 market? Could then electronic prescribing systems  
11 bring these options up as a default for clinicians?  
12 Then once we had more recommendations for  
13 shorter-term use and packaging that contained these  
14 shorter duration dispensed units, we could then  
15 consider linking quality metrics to these  
16 thresholds.

17 Educational requirements could also play a  
18 role. If doctors wanted to prescribe the packs of  
19 4- or 6-day courses of treatment, they could  
20 continue to write for these drugs in the manner  
21 they prescribe today. But if they wanted to  
22 prescribe a 30-day course of therapy, they'd have

1 to go through some additional certification steps  
2 like mandatory educational requirements. You can  
3 start to see how packaging can become part of a  
4 more comprehensive approach.

5 In addition to the idea for a blister pack  
6 that has a defined duration of use that might be  
7 for only a limited number of days and doses, there  
8 are other considerations where packaging can play  
9 an important role. Other packaging innovations  
10 could make it easier to track the number of doses  
11 that have been taken, and still other options could  
12 work to improve storage and encourage prompt  
13 disposal to reduce the available supply and reduce  
14 the risk of third-party access such as a child  
15 accidentally ingesting pills they found in a  
16 medicine cabinet.

17 There are also technologies that could allow  
18 providers, pharmacists, or family members to  
19 monitor patient use of prescription opioids. FDA's  
20 committed to exploring our existing authorities to  
21 find new and impactful ways of regulating  
22 packaging, storage, and disposal options to improve

1 safety, all the while keeping in mind the balance  
2 we need to strike between those who need these  
3 medicines to function in their daily lives, which  
4 may be unfortunately filled with pain from a  
5 chronic disease or cancer. We need to balance our  
6 steps to address the opioid epidemic with the  
7 legitimate needs of patients with painful  
8 conditions.

9 At this meeting today, as it gets underway,  
10 we're also announcing in the Federal Register, as I  
11 mentioned, a public hearing we plan to hold and a  
12 series of questions we intend to ask in a new  
13 public docket. These two steps are part of one  
14 comprehensive policy effort that's currently  
15 underway at the agency.

16 The notice we released today and the  
17 questions we asked also foreshadow other ideas  
18 we're contemplating. Picture this as one example.

19 A doctor believes it's necessary to  
20 prescribe an opioid analgesic to one of their  
21 patients. When entering the electronic  
22 prescription to the computer, it prompted that the

1 number of pills they're seeking to prescribe is  
2 higher than the recommended number for a particular  
3 clinical need. And in order to proceed, the doctor  
4 would provide justification as to why the quantity  
5 he seeks to prescribe is medically necessary or  
6 consider alternative treatment options for their  
7 patient.

8           Importantly, this wouldn't take the place of  
9 a prescriber's best clinical judgment or limit  
10 access for patients for whom chronic use of opioids  
11 is the most appropriate therapy, but it would give  
12 providers a chance to carefully consider when the  
13 amount prescribed is proper for their patient or if  
14 there are non-opioid drugs that could be used  
15 instead.

16           Another approach the opioid policy steering  
17 committee is considering would require drug  
18 sponsors to create a nationwide prescription drug  
19 monitoring database, an approach that we believe  
20 could be more effective in helping healthcare  
21 providers identify patients that could be misusing  
22 or abusing prescription opioids and provide

1 real-time alerts about potentially harmful  
2 drug-drug combinations.

3 While we recognize that some of the ideas  
4 we're exploring were unprecedented, the tragic  
5 truth is that the crisis is so immense that we need  
6 to consider a range of more impactful options that  
7 we may not have considered before. Ultimately, we  
8 believe it's our obligation to identify and explore  
9 every option available to us. We're determined to  
10 make sure that when combined with other efforts we  
11 and others are taking, these new steps may yield  
12 meaningful results.

13 So I look forward to hearing the summary of  
14 what will be no doubt a very good discussion here  
15 today. I want to thank all of you for taking time  
16 out of your busy schedules to join us as we  
17 struggle to improve these public health challenges.  
18 Thanks a lot.

19 (Applause.)

20 DR. CHAN: Thank you, Dr. Gottlieb.

21 Before I move forward, I did want to take an  
22 opportunity to welcome Dr. Bosworth as well as

1 Dr. Izem in the room. If they could just take a  
2 moment to introduce themselves.

3 DR. IZEM: Good morning. I'm Rima Izem.  
4 I'm a team leader in the Office of Biostatistics.

5 DR. BOSWORTH: Good morning. I'm Hayden  
6 Bosworth. I apologize for being late. My flight  
7 was an hour and a half late. I'm a faculty  
8 member/professor of the Department of Population  
9 Health Science at Duke University.

10 DR. CHAN: Thank you.

11 Before we jump into the sessions, I'm going  
12 to walk briefly through how this workshop is laid  
13 out since this is not an advisory committee  
14 meeting. We've invited a diverse group of  
15 scientists, federal partners, manufacturers,  
16 patient advocates, payers, and other stakeholders  
17 with the aim of having an open scientific  
18 discussion over the course of the next two days.

19 Today, we will be walking through a  
20 narrative arc that starts with defining the  
21 problems that we hope packaging, storage, and  
22 disposal options can help to address, then thinking

1 about how to design these options that have the  
2 features and technologies that can truly address  
3 the problems and their associated behaviors. From  
4 there, we will talk about how the options may be  
5 regulated and consider the realities and challenges  
6 around integrating these options into the  
7 healthcare system.

8           Tomorrow will allow us to take a deep dive  
9 into the data considerations in both the pre-market  
10 and post-market settings and consider further also  
11 how the data will drive the labeling claims for  
12 these options. We'll want to explore existing  
13 research methodologies that can be leveraged and  
14 consider new methodologies that may be needed.  
15 We'll also need to explore whether in the post-  
16 market setting there are existing or modifiable  
17 data sources that could allow for detection of  
18 these options.

19           We anticipate it will be challenging to  
20 study these options, especially as we consider how  
21 to isolate the effectiveness of any particular  
22 option, considering the vast number of

1 interventions that are being directed at the opioid  
2 epidemic at present.

3 With each session, there will be an opening  
4 presentation or two to tee up the session topic,  
5 followed by a panel discussion where we will  
6 explore the answers to specific questions that FDA  
7 has crafted. Following the panel discussion will  
8 be an opportunity for comments from the audience,  
9 where they can provide input for the scientific  
10 discussion if they would like to.

11 There will not be formal presentations from  
12 the audience as you might see in an advisory  
13 committee or another formal meeting that FDA would  
14 hold. We have an esteemed panel of experts and  
15 stakeholders, but we also recognize there's a lot  
16 of valuable expertise out there that we could not  
17 invite to sit on our panel. And we do still want  
18 to hear your input, so please consider  
19 contributing.

20 In the interest of time, we will limit each  
21 audience participation speaker to 3 minutes during  
22 that session, but we do also have an open docket

1 where we encourage you to submit additional  
2 comments before February 12, 2018. The  
3 instructions are a part of the Federal Register  
4 notice.

5 For topics such as those being discussed  
6 today, there are often a variety of opinions, some  
7 of which are strongly held. And our goal is that  
8 today's meeting will be a fair and open discussion  
9 of these issues, where individuals can express  
10 their views without interruption.

11 Before diving in, we need to review a couple  
12 of key terms that we're going to use throughout the  
13 workshop. You will hear the FDA use the term  
14 "option" or "options." When we say options, we're  
15 referring to any packaging design, storage, or  
16 disposal product that might be developed or  
17 currently exists and could potentially play a role  
18 in enhancing opioid safety.

19 A "tamper evident package" is defined in the  
20 regulations as one having one or more indicators or  
21 barriers to entry, which if breached or missing can  
22 reasonably be expected to provide visible evidence

1 to a user that tampering has occurred.

2 "Abuse-deterrent properties" are defined as  
3 those properties expected to meaningfully deter  
4 abuse, but this should not be construed as  
5 properties that can fully prevent abuse. It's  
6 important to emphasize that FDA expects that no  
7 option can be created, when we're talking about the  
8 options today, that can fully prevent abuse.

9 The term "misuse" refers to the intentional  
10 therapeutic use of a drug product in an  
11 inappropriate way and specifically excludes the  
12 definition of abuse. The term "abuse" is defined  
13 as the intentional non-therapeutic use of a drug  
14 product or substance, even once, to achieve a  
15 desirable psychological or physiological effect.  
16 Abuse is not the same as misuse.

17 An "opioid use disorder" or "OUD" is the  
18 diagnostic term used for a chronic, neurobiological  
19 disease characterized by a problematic pattern of  
20 opioid use leading to significant impairment or  
21 distress and includes signs and symptoms that  
22 reflect compulsive, prolonged self-administration

1 of opioid substances for no legitimate medical  
2 purpose; or if another medical condition is present  
3 that requires opioid treatment, the opioid is used  
4 in doses far greater than the amount needed for  
5 treatment of that medical condition.

6 **Session 1 Presentation - Irene Z. Chan**

7 DR. CHAN: With that, let's go ahead and  
8 move into our sessions. I'm going to start Session  
9 1 by talking about where we see a role for these  
10 options; in other words, what are the problems that  
11 we're trying to address? As a part of this  
12 discussion, I'll also share some preliminary ideas  
13 and raise some questions around how these options  
14 could be included in the product labeling.

15 The views and opinions expressed in this  
16 presentation represent my views. Reference to any  
17 marketed products is for illustrative purposes only  
18 and does not constitute endorsement by any of the  
19 parties listed on the screen. Any labeling  
20 statement examples in this presentation reflect  
21 preliminary considerations and are included to  
22 generate scientific discussion. They do not

1 represent FDA recommended labeling statements.

2           During this presentation, I'll be walking  
3 through four high-level problems where the FDA has  
4 identified a role for packaging, storage, and  
5 disposal options. These include accidental  
6 exposure, misuse, third-party access, and excess  
7 supply. It's important to begin with a discussion  
8 about the problems we're trying to impact because  
9 identifying target problems and their associated  
10 behaviors serves as a foundation for how to  
11 approach development of these options to enhance  
12 opioid safety.

13           Dr. Gary Slatko will be discussing a  
14 proposed development approach in Session 2 in  
15 further detail, but the general idea is to start  
16 with the problem you want to target, or problems  
17 you want to target, which will then serve as a  
18 guide for the development of design features and  
19 technologies aimed at specific behaviors. Once  
20 you've developed the design for an option, then you  
21 do need data to support that the option does do  
22 what it's supposed to. And if there's a meaningful

1 benefit demonstrated, that same data will also  
2 drive your labeling approach.

3 Let's begin by stepping through each  
4 problem. We'll start with talking about accidental  
5 exposure. When we hear the term "accidental  
6 exposure," oftentimes it's the pediatric population  
7 that comes to mind. It can be heartbreaking to see  
8 and hear stories about unsupervised ingestions of  
9 prescription opioids in young children, especially  
10 because in many of these cases, the child was  
11 exposed to a prescription that was intended for an  
12 adult.

13 In this study, the authors set out to  
14 examine the incidence and characteristics of  
15 hospitalizations attributed to opioid poisonings in  
16 children and adolescents. What they found was that  
17 between 1997 and 2012, pediatric hospitalizations  
18 for opioid poisonings increased nearly twofold, but  
19 the largest percentage increase in hospitalizations  
20 over time occurred amongst the youngest children.

21 In this investigation, the authors' aim to  
22 associate monthly trends of adult use of some

1 classes of drugs to trends in child poison control  
2 calls related to those same classes of drugs. The  
3 authors make a causal argument using analyses of  
4 whether the past data are a good predictor of  
5 future outcomes. The research suggests there is an  
6 association between adult medication use,  
7 specifically of opioids, and exposures and  
8 poisonings in children.

9           So why are these exposures and poisonings  
10 happening? After all, haven't we had success since  
11 the passing of the Poison Prevention Packaging Act  
12 of 1970? That Act required a number of household  
13 substances to be packaged in child-resistant  
14 packaging that is constructed to be significantly  
15 difficult for children under 5 years of age to open  
16 within a reasonable time, and Dr. Laura Bix will be  
17 speaking more about these testing protocols  
18 tomorrow.

19           Again, why are these exposures happening?  
20 To be clear, passing the Poison Prevention  
21 Packaging Act has saved lives, however, it is not  
22 foolproof. There are still failure modes that

1 exist that will allow for young children to ingest  
2 toxic substances, including prescription opioids.

3 For example, adults may improperly use the  
4 child-resistant closures. They could leave the  
5 containers open. They could incompletely close  
6 them. They may even transfer prescriptions from  
7 one bottle to another. In addition, there is also  
8 availability of non-special packaging or  
9 non-child-resistant caps for prescription  
10 medications. As a pharmacist myself, it would not  
11 be unusual working in the retail setting for there  
12 to be a request or something noted in a patient's  
13 profile indicating that they don't want  
14 child-resistant caps.

15 There can also be inadequate quality control  
16 measures by manufacturers that can occasionally  
17 lead to defective closures, and there is also the  
18 possibility of violations of the law by the  
19 pharmacists or the dispensing physician.

20 So what more should we be doing? We could  
21 focus efforts on decreasing the available supply of  
22 prescription opioids that the children can access.

1       However, since there likely always will be some  
2       available supply on the market, we could also focus  
3       efforts on making it more difficult for them to  
4       actually access that available supply, or there  
5       could be other interventions to consider.

6               An example of what can occur in a household  
7       is that a parent leaves a bottle of prescription  
8       opioid medication on a table or a counter, and it  
9       hasn't properly had the cap twisted back on. The  
10       toddler finds the bottle, easily removes that top,  
11       and eats the medication, resulting in subsequent  
12       hospitalization for a massive overdose.

13              If we can create a packaging, storage, or  
14       disposal option that reduces the risk for this type  
15       of use scenario occurring in the market, then the  
16       agency would want to consider how best to reflect  
17       this in the product labeling. Would it be  
18       reasonable to state that a packaging has  
19       characteristics expected to lower the risk for  
20       accidental pediatric exposure of a prescription  
21       opioid? And if we do so, it would probably be  
22       important not to suggest that these accidental

1 exposures cannot occur.

2 Now, let's move on to the problem of misuse.  
3 There are different published figures regarding the  
4 rate of misuse for prescription opioids in this  
5 country. These figures can sometimes vary and be  
6 difficult to interpret because operationalizing  
7 definitions of misuse and data sources can be  
8 challenging.

9 Many data sources have differing definitions  
10 with some combining the concepts of misuse and  
11 abuse. Regardless, misuse of prescription opioids  
12 is an important problem on which to focus. It has  
13 been noted that each day more than a thousand  
14 people are treated in emergency departments who are  
15 not using prescription opioids as intended.

16 When we think about misuse, it's important  
17 to understand that there is a spectrum of misuse  
18 that we're contending with. I break this slide  
19 down into unintended and intended misuse, though  
20 it's important to point out that the use of the  
21 term "unintentional misuse" or "unintended misuse"  
22 in this context is not to be confused with the

1 definition of misuse as the intentional therapeutic  
2 use of a drug product in an inappropriate way.

3 Examples of unintended misuse include a  
4 patient who forgets to take a medication or perhaps  
5 doesn't understand how to take the medication. As  
6 the spectrum moves towards more intentional  
7 behaviors, you now have examples where there is  
8 therapeutic use of a drug by a person other than  
9 the intended patient that may result from sharing  
10 of medication. For example, I may give my friend a  
11 Vicodin tablet for her migraine. Just to be clear,  
12 I wouldn't do that.

13 (Laughter.)

14 DR. CHAN: You can also have an example of  
15 an intended patient who uses more drug than  
16 prescribed to self-treat increasing or breakthrough  
17 pain. Another example is an intended patient who's  
18 retaining leftover opioids in case of future pain.  
19 In this example, the retaining of leftover opioids  
20 contributes to excess available supply, which could  
21 then potentially be accessed by others.

22 Based on the examples I just discussed, it

1 becomes clear that misuse could contribute to  
2 accidental overdose of an opioid. It could be a  
3 sign of developing addiction, it could contribute  
4 to excess available supply, and it could contribute  
5 to individuals not seeking necessary care from a  
6 healthcare provider.

7           If we create packaging, storage, or disposal  
8 options that reduce the risk for misuse of a  
9 prescription opioid, then again, we want to  
10 consider how to best reflect this in the labeling.  
11 Depending on the data produced, we might consider  
12 noting that the packaging has characteristics that  
13 improve patient compliance with labeled directions  
14 for use.

15           But of course, medication compliance is a  
16 complex issue, and medication use in general is  
17 governed by complex behavioral interactions and  
18 beliefs, so assessing compliance could be a high  
19 bar to reach, but perhaps the labeling would  
20 instead describe what a specific option does.

21           Perhaps labeling it has characteristics that  
22 would destroy an opioid after a certain number of

1 days, eliminating excess supply for example.  
2 Alternatively, there may be data that drives the  
3 labeling towards noting that the packaging has  
4 characteristics that would be expected to  
5 discourage the sharing of an opioid medication.

6 Let's now talk about third-party access,  
7 which starts to take us into the realm of abuse.  
8 It's important to note, though, that with abuse,  
9 like misuse, there is a spectrum of severity to  
10 consider. Abuse is a complex and nuanced issue,  
11 and it may be important to consider whether options  
12 are likely to be more effective or impactful with  
13 less severe opioid use disorder.

14 Having said that, I do want to emphasize  
15 that in some cases, a third party may steal a  
16 prescription opioid and use it for therapeutic  
17 reasons. Additionally, when talking about abuse,  
18 patients themselves can abuse a prescribed opioid;  
19 however, here we're going to focus on how we keep  
20 people other than the intended patient out of a  
21 prescription that was not written for them.

22 The next few slides are broken into

1 outpatient and inpatient considerations. In the  
2 outpatient setting, we could be talking about a  
3 scenario where you have adolescents in the home, or  
4 there are other family members, or it could be the  
5 person that's visiting your open house raiding your  
6 medicine cabinet. We're interested in thinking  
7 further about adolescent access of opioids in the  
8 home where curiosity or peer pressure might lead to  
9 first-time abuse or progressive severity of abuse  
10 of a prescription opioid.

11 In this report, the focus is specifically on  
12 drug overdose deaths for older adolescents age 15  
13 to 19. What we see in this graph is that after  
14 tripling from 1999 through 2007, drug overdose  
15 death rates involving opioids for adolescents age  
16 15 to 19 generally declined through 2014 but then  
17 increased again in 2015. So what we're seeing is  
18 an upward trajectory in 2015 where there's nearly  
19 2.5 deaths per 100,000 adolescents age 15 to 19  
20 that's involving opioids.

21 Let's not also forget what's happening in  
22 the inpatient or ambulatory care settings. There

1 have been various published reports of healthcare  
2 associated outbreaks or infections that are  
3 attributed to narcotic diversion by healthcare  
4 professionals. In some of these cases, nurses may  
5 be removing injectable opioid solutions from a vial  
6 and replacing it with another solution such as  
7 saline, so that it appears that the volume of the  
8 vial's content has not changed.

9 Now, you may be asking wouldn't someone  
10 notice that a cap has been removed from a vial.  
11 Unfortunately, it's possible to see a scenario such  
12 as that illustrated on this slide, where a hospital  
13 has an automated dispensing cabinet, but when the  
14 drawer is opened to remove the medication, there  
15 are injectable vials both with and without caps  
16 present. This can occur for various reasons. In  
17 some cases, due to the way a product's  
18 manufactured, the cap could have fallen off. In  
19 other cases, a vial may have been brought to the  
20 bedside only to be refused by a patient.

21 The main point I want to make here, though,  
22 is that there are vulnerabilities in the healthcare

1 system that can allow for these scenarios to  
2 happen, and it raises questions of whether dual  
3 tamper-resistant features or other packaging  
4 options could play a role in minimizing this type  
5 of third-party access.

6 So again, if we can create an option that  
7 reduces the risk for third-party access, then FDA  
8 will want to consider how best to reflect this in  
9 the product's labeling. Depending on the data  
10 produced, we may consider labeling that indicates  
11 the packaging has characteristics expected to  
12 reduce use by persons other than the intended  
13 patient.

14 So last, we get to the problem of excess  
15 supply, and as this slide notes, leftover  
16 prescription opioids from previous prescriptions  
17 account for a substantial source of non-medical use  
18 of prescription opioids among high school seniors  
19 in the United States.

20 You'll note that in previous areas of this  
21 presentation, I've alluded to how excess supply can  
22 in fact potentiate other problems. Numerous small

1 studies have assessed leftover pills, storage, and  
2 disposal after surgery, and these studies have  
3 asked patients various questions such as how many  
4 pills they used, or how many pills they had  
5 remaining, or even how or where the excess supply  
6 is being stored.

7           What these studies have demonstrated is that  
8 the median number of pills dispensed, consumed, and  
9 remaining differ by procedure, with the range being  
10 relatively large even for the same procedure. But  
11 what's also striking is that surgical patients who  
12 are prescribed opioids for their pain are  
13 frequently left with unused pills, and in some  
14 cases these are being stored in unlocked locations  
15 such as their medicine cabinets.

16           So if we can create a packaging, storage, or  
17 disposal option that reduces excess supply, whether  
18 that's by driving prescribing behavior towards  
19 writing for smaller quantities or driving patient  
20 behavior towards actively disposing of leftover  
21 pills, then FDA will want to consider how to  
22 reflect this in the labeling. As discussed during

1 misuse, perhaps the labeling will describe what the  
2 specific option may do, such as packaging that has  
3 characteristics to destroy an opioid after use.

4 This concludes my presentation to tee off  
5 the discussion in Session 1. Dr. Iris Masucci will  
6 now help begin our moderated panel discussion as we  
7 get the questions projected on the screen.

8 **Panel Discussion**

9 DR. MASUCCI: Thank you, Dr. Chan, for the  
10 presentation. And also, I'd like to extend another  
11 thank you to our panel participants and our  
12 audience members both in the room and online.

13 Again, I'm Iris Masucci from FDA's Office  
14 of Medical Policy in CDER, and I'll be  
15 co-moderating this session with Dr. Chan. We're  
16 going to pull up on the screen a list of eight or  
17 so questions that FDA has developed to spur the  
18 discussion. We have an ambitious agenda to get  
19 through in the next 60 minutes, and we obviously  
20 have a large number of panelists. So to best  
21 facilitate the discussion and allow everyone to be  
22 heard among our panel members, when you are

1 interested in participating, please raise your  
2 hand. My colleague Paul next to me will jot down  
3 names in the order that hands were raised, and  
4 we'll try to go through them in succession.

5 To start off, Dr. Chan talked about the four  
6 major problems that FDA has identified, where  
7 packaging, storage, and disposal options could have  
8 a beneficial impact, again, accidental exposure,  
9 misuse, third-party access, and the excess supply  
10 of opioids. We'd like to hear feedback to get the  
11 discussion started about whether our panelists have  
12 opinions on are these indeed the appropriate  
13 problems on which to begin our focus. So if we  
14 could turn it over to the panel, and if people  
15 would like to contribute, you can please raise your  
16 hand, and we'll get the discussion started.

17 MS. WHALLEY BUONO: This is Liz Whalley  
18 Buono, and I'll just start, because it seems like  
19 that spans a pretty good rep of the issue. My  
20 personal vision on these scenarios is based on  
21 unfortunate personal anecdotes that I'm sure all of  
22 us have at this point and late night CSI, which is

1 probably a pretty good source for some of these  
2 scenarios.

3 I guess my question is, do we know enough  
4 from the various poisoning prevention databases to  
5 know whether we've really got a visibility on the  
6 landscape of scenarios so we can consider whether  
7 the behavioral aspects fall into these categories?  
8 Has that work been done yet, or do we feel like  
9 there's more work there?

10 DR. MASUCCI: You have to get very close to  
11 your microphones, all of you.

12 DR. CHAN: I think the question is asking  
13 about do we know enough about the landscape to say  
14 definitively that these are the right problems to  
15 be targeting. And that's really the question we  
16 have. We think from gleaning what we do know and  
17 also from previous discussion at the Duke-Margolis  
18 meeting, that these seem to be the issues that rise  
19 to the top for people. These seem to be the issues  
20 that perhaps some feel are most compelling to begin  
21 with but not necessarily to state that these could  
22 be the only issues.

1           So with that, I'd like to actually turn it  
2 back to you and others on this panel. What other  
3 problems, then, do you see here? We're trying to  
4 understand if there is agreement that these could  
5 be areas that we probe with these options, but then  
6 also understand if there are other problems for  
7 which you think these options could be considered.

8           MS. WHALLEY BUONO: The only thing I'll  
9 add -- and the reason that I ask this question is,  
10 as Dr. Gottlieb noted, this is such a  
11 cross-jurisdictional issue. And I'm wondering  
12 whether we've had sufficient discourse with law  
13 enforcement and other jurisdictional agencies to  
14 know whether there's anything missing here as far  
15 as the behavior of third parties.

16           You've followed the path of the drug, and  
17 you've followed the path of the individuals that  
18 encounter the drug. Before we put a bow on the  
19 problems -- I don't know the answer to it -- it  
20 just would be interesting to know have we  
21 sufficiently engaged with the other stakeholders,  
22 if you will.

1 DR. CHAN: Yes, Mr. Webb?

2 MR. WEBB: Thank you. Kevin Webb with  
3 Mallinckrodt. I would add the phrase let's not let  
4 perfect be the enemy of good on this. I think this  
5 is a good place to start. The four scenarios which  
6 we present, I think it's an excellent place to at  
7 least begin the conversation, as long as -- I think  
8 to Liz's point -- we realize that this is not the  
9 only solution, but this is a part of the solution.

10 We also recognize that most of the diversion  
11 of unused opioids comes out of the home. So if we  
12 can start with the existing patient and move in  
13 there, I think it at least gives us a place to  
14 begin to move the conversation forward. This is an  
15 iterative process as we then continue to understand  
16 best practices, what is working, it allows us to  
17 continue to build on it.

18 So I applaud the FDA for putting this  
19 question forward because I think it's at least an  
20 opportunity for us to say this starts the process  
21 of addressing the issue of diversion and how to  
22 dispose of unused opioids [indiscernible -

1 feedback].

2 DR. CHAN: I think Dr. Kelman was next.

3 DR. KELMAN: Jeff Kelman. Are we talking  
4 about making the packaging a part of the label, and  
5 use outside that label, therefore misbranding? That  
6 would have a major effect.

7 DR. CHAN: Yes. I'd like to explore that  
8 further in terms of the major effect that you  
9 suggest. If you could speak a little bit more to  
10 that, how you envision that.

11 DR. KELMAN: So if it's misbranding  
12 [inaudible - off mic].

13 DR. CHAN: And I'd be interested to hear  
14 others' thoughts on that from other payers as well.

15 DR. KELMAN: I'm not sure there are any  
16 payers.

17 DR. CHAN: Well, I believe we do have a  
18 couple in the room, including closed system  
19 considerations as well. I think at this point  
20 we're still in early exploratory phases with  
21 regards to how that labeling would be implemented  
22 and what would be the appropriate input for the

1 labeling. I think fundamentally we would want to  
2 get this type of feedback exactly so we can  
3 consider the downstream effects, especially as we  
4 talk about the integration into the healthcare  
5 system and what might be some of those pitfalls.

6 So this is exactly the type of feedback that  
7 we need, and I think we'll have an opportunity also  
8 today, when we get into Session 4, about  
9 integrating these options into the healthcare  
10 system to definitely develop that further.

11 DR. THROCKMORTON: Jeff, I think we're  
12 thinking about this in a two-step, at least  
13 preliminarily, so begin identifying solutions that  
14 work for particular products and finding a way to  
15 potentially include them in the labeling, thinking  
16 like we're doing for abuse-deterrent formulations  
17 or something like that.

18 You're asking the longer-term question, once  
19 we do that, what happens to the products that don't  
20 have that labeling and don't have that packaging,  
21 and that's a harder question. If we think of the  
22 abuse-deterrent formulations of opioids and the

1 path that we're walking there, we started with  
2 labeling that predicted an effect, and we're  
3 working on determining whether or not in fact there  
4 is a real-world impact.

5 I'm not saying I know that's how we go here,  
6 but that's at least one way we might think about  
7 it. So we wait for that second step potentially.  
8 Once we determine that a packaging solution in fact  
9 has the impact we really want it to in one of those  
10 four areas, then your hard question would  
11 absolutely come into play.

12 DR. CHAN: Our next commenter is  
13 Dr. Mendelson.

14 DR. MENDELSON: Hi. I haven't had coffee  
15 yet, so this won't be organized as well as it  
16 should, but it seems there are two fundamental  
17 problems. There is misuse in the house, and that  
18 requires one set of possible packaging solutions.  
19 And there's misuse outside in the community, which  
20 is diversion, and that requires another set of  
21 packaging solutions. In fact, the packaging  
22 solutions you do for the house could end up being a

1 selling point for the ones in the community. If  
2 you have a nice little blister pack that tells you  
3 exactly what's in it, I think that actually might  
4 facilitate drug sales in many locations. So I  
5 think that some solutions will lead to other  
6 problems.

7           What I would actually like to know is the  
8 rate at which packaging failures have led to  
9 pediatric overdoses and the rate at which packaging  
10 failures are thought to have led to the diversion.  
11 It's fairly obvious that people will have excess  
12 opioids in their medicine chest, and if you will  
13 take them out, that's a packaging failure of a  
14 sort, and disposal might be the option there.

15           But if that's going to be the option, that  
16 you get rid of your excess medications, you're  
17 going to have to incentivize the patient so that  
18 they actually bring you the package material, a  
19 return and get \$10, like recycling bottles or  
20 something. You're also going to have to think of  
21 the user experience as to what's in it for the  
22 patient.

1 Right now, if we give very small amounts of  
2 opioids to patients and there's no easy path for  
3 refill, the user experience for the patient will be  
4 crummy, and the user experience for the doctor will  
5 be worse, because on Saturday afternoon, there will  
6 be panicked patients calling that they're out of  
7 whatever you've given them. If we make it too  
8 complicated, we won't solve the problem either.

9 But my point is I think we ought to be  
10 driven by numbers as well. You should be tracking  
11 how often packaging failures actually lead to a bad  
12 outcome, if that's possible, to the extent that's  
13 possible. That will help inform your better  
14 packaging solutions.

15 DR. MASUCCI: Does anyone on the panel have  
16 a response to that question?

17 FEMALE VOICE: Just as a follow-up, for  
18 example, poison control [inaudible - off mic].

19 DR. CHAN: Dr. Green, yes?

20 DR. GREEN: Jody Green. There are several  
21 publications already that go into root cause  
22 analysis of things that have led to pediatric

1 exposures, particularly with buprenorphine in a  
2 recent publication, and many of the failures are  
3 human failures, not packaging failures, and that  
4 makes our job a lot more difficult.

5 I think that we know quite a bit, but I'm  
6 hoping that later in the day, today or tomorrow,  
7 we'll actually get to the data from some of those  
8 studies that might help us better inform  
9 Dr. Mendelson's questions.

10 DR. MASUCCI: Dr. Budnitz had a comment.

11 DR. BUDNITZ: Dan Budnitz, CDC. I think  
12 we'll get into the details tomorrow, but I think  
13 the fundamental question is not so much a failure  
14 of the packaging but the way packaging is  
15 fundamentally designed, either active mechanism  
16 where it requires the parent to put the cap on or  
17 whether it's something passive and automatic.

18 DR. MENDELSON: That is a failure. That's  
19 the whole point. That is a product failure. I  
20 mean, when you have a car, and you have an  
21 accident, and the steering wheel comes through your  
22 chest, that's a product design feature problem.

1 It's also a problem if the person was driving fast,  
2 or intoxicated, or in bad weather conditions. But  
3 that's a product failure, one way to look at it.

4 DR. BUDNITZ: Or one could look at the  
5 positive aspect where a seat belt is an active  
6 safety mechanism --

7 DR. MENDELSON: Exactly.

8 DR. BUDNITZ: -- but an airbag is a passive  
9 system. And it's not really a failure of each.  
10 They're just different approaches.

11 DR. MENDELSON: Yes, accepted.

12 DR. MASUCCI: Dr. Emmendorfer?

13 DR. EMMENDORFER: I'm the disposal side of  
14 the equation. In the Department of Veterans  
15 Affairs, we have the take-back receptacles that are  
16 DEA approved on site in over a hundred locations.  
17 But also as a convenience factor for our veterans,  
18 we also have the mail-back envelope option to try  
19 to encourage the removal of unwanted, unneeded  
20 medications from the home. And we do have a  
21 tracking mechanism for how many pounds we've  
22 received back, and through those two mechanisms, VA

1 has collected over 53 tons of unwanted, unneeded  
2 medications from the veterans, which is like the  
3 equivalent of 17 large elephants.

4 So I do think that there is a very strong  
5 component of being able to incentivize patients to  
6 try to clean up the unwanted, unneeded medications  
7 to help with the issue. Now, out of all those  
8 poundages, are all those opioids? No, because they  
9 can put anything they want into the envelope, but  
10 it is one component.

11 DR. MASUCCI: Ms. Cowan?

12 MS. COWAN: Hi. Penney Cowan, American  
13 Chronic Pain Association. I was thinking about the  
14 labeling, and I think when you're looking at the  
15 consumer, the labeling on most medications is not  
16 consumer friendly. The text is getting smaller and  
17 smaller. So without the education at the point of  
18 prescribing it by the healthcare professional and  
19 by the pharmacist to really reinforce the fact of  
20 what this needs to be, how it should be used,  
21 stored, and disposed of -- because I guarantee,  
22 most people don't read the inserts, and the

1 labeling on the bottle itself doesn't really give  
2 you those instructions.

3 So I would just encourage you to think about  
4 the number of consumers, the size of the text, the  
5 language that's used, the reading level that you're  
6 using in order to really have people understand  
7 what you're trying to tell them. I think education  
8 across the board on all these issues is really  
9 important.

10 DR. CHAN: So with that comment, I think  
11 what we're hearing, though, is that you're saying  
12 there is an option here. When we think about the  
13 development of packaging, storage, and disposal  
14 options, there is an opportunity here to actually  
15 impact, for example, misuse in that example where  
16 you're talking about you're providing education. I  
17 think that is what I'm hearing, or providing  
18 education with packaging itself.

19 MS. COWAN: Right. That's obviously not the  
20 golden answer for everything, but I think it's  
21 going to help. It's one of the many things that we  
22 can do. But I think for some people who've never

1       been told about putting their medications away, or  
2       using them appropriately, or not taking them off  
3       schedule, all those components, unless they hear it  
4       several times and then can read it and refer back  
5       to it -- and again, the labeling is just not user  
6       friendly right now the way it is.

7               DR. MASUCCI: I think it would be helpful to  
8       remember that when we're talking about  
9       incorporating some of this information into  
10       labeling, what we're talking about from the FDA  
11       perspective, with the first step, is in the  
12       professional labeling: the package insert, the  
13       information for the prescriber, which is obviously  
14       not meant for the patient. And that document is  
15       then the basis for FDA-approved patient labeling.  
16       And then beyond that you have what the patient may  
17       get out in the community for patient information,  
18       and how the prescription is actually packaged and  
19       the stickers that are on it. So there are multiple  
20       layers to what we're talking about when we're  
21       talking about labeling today.

22               MS. COWAN: Right. And I understand that,

1 but I know the stuff that I get from the pharmacy  
2 is not user friendly. Some of it I didn't have to  
3 look up because I actually read it, but it's not  
4 user friendly. And for many people, they couldn't  
5 even see it. The print is getting smaller and  
6 smaller.

7 DR. MASUCCI: Right. And FDA is certainly  
8 looking into that with some initiatives about  
9 patient medication information, and that's  
10 certainly part of the equation, is the readability  
11 factor for sure.

12 MS. COWAN: Great. But I also think the  
13 interaction of the pharmacist and the prescriber is  
14 also really critical in all of this, that that is  
15 communicated because not everyone is going to read  
16 it.

17 DR. CHAN: I think Dr. Bosworth also had a  
18 comment.

19 DR. BOSWORTH: Sure. I have a couple things  
20 to just comment on. Building upon what Penney just  
21 said, our own data would suggest about 38 percent  
22 of the primary care population is functionally

1 illiterate. So you can create whatever labels you  
2 want and provide whatever handouts you want, but  
3 just be mindful that a third of your population are  
4 functionally not going to be able to read it, let  
5 alone see it.

6 I think that's part of what I'll comment on,  
7 is when I look at the literature at the moment,  
8 right now, I think we're in phase 1, very  
9 epidemiologically focused, and I would suggest that  
10 there's a possibility of phase 2 and phase 3.  
11 Phase 2 is understanding the mechanisms that are  
12 explaining some of these issues, and then phase 3  
13 is actually looking at interventions with a goal  
14 eventually of creating a toolbox.

15 So as I look at the slide with accidental  
16 exposure and misuse, third-party access, excess  
17 supply, creating a table with solutions that are  
18 actually going to help move that, I think the idea  
19 of creating one solution or thinking that labeling  
20 or packaging is going to get us X, it's just not  
21 going to do it.

22 So just to frame the conversation of what

1 are the pieces that we need to put on the table  
2 and, frankly, evaluate in any capacity, as we think  
3 about data, we can create and think about the best  
4 things we want. But I don't see a lot of data, and  
5 I would want to create labs, learning labs -- I  
6 don't mean experimental. What I'm talking about is  
7 real-life learning labs where you have the  
8 interaction of the healthcare system with a  
9 provider and the patients looking at these things  
10 in real time.

11 So those are models that we're starting to  
12 look at, and I think there are some really -- so  
13 we're not talking three-year trials. We're not  
14 talking five-year trials. We're talking about  
15 three months turnaround so you actually have data  
16 making informed decisions as you move forward. So  
17 to whatever capacity that's a possibility to put on  
18 the table, I would strongly recommend that because  
19 I just don't think -- we're going to create a  
20 toolbox if we do this right.

21 DR. CHAN: Dr. Rao-Patel?

22 DR. RAO-PATEL: Yes. I was actually going

1 to comment on her statement, which is I agree a  
2 hundred percent. I think there's multiple levels  
3 of education. Of course, education's not the only  
4 solution for everything, but I think some language  
5 simplification in terms of labeling, at least for  
6 what the patient gets, I think may help because  
7 half the times, as we know in clinical practice,  
8 patients often don't know what medications they're  
9 on, the names of them, and they often refer to them  
10 as like the pink pill or the blue pill.

11 So I think the education starts with the  
12 prescriber actually going over the medication and  
13 talking about a risk-benefit of what the  
14 medications are and side effects that would be  
15 expected, as well as perhaps making it in some ways  
16 mandatory that there is a consultation with the  
17 pharmacist.

18 I know right now it's generally asked to the  
19 patient if they want to talk to the pharmacist, but  
20 maybe that would be an additional speed bump to  
21 educating the patient about what the medication is  
22 and what it's used for, and disposal options and

1 storage solutions in terms of safety in their home,  
2 as well as labeling to make it simplified for the  
3 patient to understand.

4 DR. CHAN: I'd like to just reshift the  
5 conversation back a little bit and get back to the  
6 problems because, absolutely, I think a lot of what  
7 we're generating here, we start talking about  
8 discussions that go into the development of the  
9 features, the solutions, and what needs to be part  
10 of that design.

11 If we can switch gears for a moment, during  
12 the presentation, I talked a little bit about  
13 spectrum of misuse, spectrum of abuse, and that  
14 there may be different considerations depending  
15 where along the spectrum an individual may be. So  
16 do we think that package and storage and disposal  
17 options could meaningfully address abuse, for  
18 example, if we're talking about an individual, say,  
19 on the severe end of the opioid use disorder; or  
20 where do we think there may be the limitations to  
21 where these options can play a meaningful role?

22 I'd be interested to understand that a

1 little bit more from the panel and your thoughts  
2 around that. We can open up that discussion.

3 MS. WHALLEY BUONO: Liz Whalley Buono. As I  
4 think about what can we come out of the gate with  
5 quickly, obviously we have to look at risk profile  
6 of any of the interventions that we're looking at  
7 starting with. And even though looking at discrete  
8 issues associated with the opioid products is  
9 relatively new in what we're studying, we've been  
10 studying patient medication adherence for at least  
11 10 years, and there's a lot that we've learned, a  
12 lot in the published literature.

13 We know that there are innovations that  
14 work, some moderately, inexpensively; some a bit  
15 more complex and reserved for really costly  
16 problems. But I think if we look at the adherence  
17 issue, there are certain aspects of basic patient  
18 medication non-adherence that are relevant to the  
19 opioid spectrum. And since they're low-risk  
20 interventions, I think there's an opportunity to  
21 learn on the fly with some of this.

22 So if we looked at what we've learned about

1 patient medication non-adherence in diabetes  
2 medications and cholesterol medications, we could  
3 start there I think because we know that  
4 educational components, reminder components,  
5 warning components, and links to ancillary patient  
6 support medication all make sense, and they're low  
7 risk.

8           There are things that we could do right now,  
9 then we could see are there other attributes of  
10 those types of packaging considerations that could  
11 be useful for things like identifying when pills  
12 have been diverted. I think there's an opportunity  
13 to really look at what we know works, what we know  
14 is safe, and to start to work with that right now  
15 because we can do that, and then monitor what the  
16 impacts are on some of the kind of  
17 addiction-specific behavioral issues.

18           DR. CHAN: I think what I heard was this  
19 idea of starting with behaviors -- if I'm hearing  
20 you correctly, what I'm hearing is that there are a  
21 lot of existing options or existing strategies that  
22 could be implemented. But it sounded to me as if

1 in those scenarios we were still talking about  
2 where they have more of a preventive effect.

3 Right?

4 So I'm trying to understand with this  
5 question, though, if we have someone who has  
6 already developed down this path of more  
7 intentional behaviors, where could these options  
8 sit or could they be meaningfully applied for this  
9 population. So I'm hoping to get some feedback.

10 MS. WHALLEY BUONO: I'll just add to that.  
11 I think that's right, but I think there also could  
12 be value for established addiction, if you will.  
13 So when you think about expanding the concept of  
14 FDA capital "L" labeling, to include things that  
15 are not product specific, warning information about  
16 characteristics of overdose and things like that.  
17 That may very well have an impact on people that  
18 are intentionally misusing the product perhaps  
19 enough to, if you will, scare them into reaching  
20 out for support and things like that.

21 DR. CHAN: I believe Mr. Smith was next.  
22 No? Mr. Webb.

1           MR. WEBB: Thank you. From a manufacturer's  
2 perspective, we've looked at this from several  
3 different options as well. Trying to prevent an  
4 intentional illicit use of packaging is an  
5 incredibly difficult proposition. It's intrusive  
6 meaning that if you try to put something into the  
7 family or into the home with the caregiver, it's  
8 going to be very difficult to use, and costly. The  
9 timeline to implement something like that is many  
10 years down the road.

11           Until we have medications that spontaneously  
12 become inert or that type of technology, it still  
13 requires the patient to activate the packaging  
14 that's out there, to activate it on their own. It  
15 still requires the patient to be proactive and  
16 cause the medication to be neutralized or  
17 chemically neutralized. So it's almost an oxymoron  
18 of asking someone who has an illicit or significant  
19 drug opioid problem to ask them now to neutralize  
20 their medication.

21           So in the sake of expediency of a problem  
22 we're trying to solve, prevention through either

1 accidental exposure or trying to put in speed bumps  
2 to try to prevent a young teenager from  
3 experimenting with medication, if you can prevent  
4 them to getting to the point where they are an  
5 illicit drug user for long-term use, if we can  
6 prevent that from happening, we start to see  
7 victories.

8           So I would look at what can we solve today.  
9 And by today, I mean over the next 12, 18,  
10 24 months, with packaging, and then let's stop the  
11 problem from happening, and then let's focus on how  
12 do you now get to the illicit hardcore user of  
13 medications.

14           I think that technology is still in its  
15 early stages, and I think for us to spend a  
16 significant amount of resources and solve something  
17 that is really an area that we can do a whole lot  
18 more good now, today, I would rather we just say,  
19 here's what we can do and have an impact there, and  
20 then worry about the -- because if someone's going  
21 to misuse, they're going to misuse, no matter what  
22 the packaging is. You can take a sledge hammer to

1 it; you're still going to get the medication out of  
2 it. But if you can prevent someone, say, they  
3 stumble across one or two of non-user of opioids,  
4 prevent them from experimenting with it, at that  
5 point, now you start to have progress.

6 DR. CHAN: Dr. Bateman?

7 DR. BATEMAN: I just wanted to make a point  
8 about how central I think overprescribing leading  
9 to excess supply is to the entire issue from  
10 adolescents who experiment to hardcore illicit use.  
11 If you look at the SAMHSA survey of people who use  
12 prescription opioids non-medically, far and away,  
13 the leading source is obtaining the medications  
14 from friends or family members. So if we can  
15 develop strategies that will lead clinicians to  
16 prescribe in a way that's appropriate to the  
17 indication, I think that's likely to have a very  
18 big impact.

19 There are now data from a wide range of  
20 clinical settings -- dental procedures, surgeries,  
21 primary care settings -- where we see that  
22 physicians prescribe greatly in excess of what

1 patients ultimately use and that patients hold on  
2 to the leftovers. To my mind, that's a critical  
3 point where we could really make an impact.

4 DR. MASUCCI: I believe Dr. Walsh was next.

5 DR. WALSH: I have two comments. I want to  
6 concur with Dr. Bateman. I think that if it's  
7 possible to use packaging to actually be an  
8 instruction set for providers, that would be  
9 invaluable. It's hard to imagine that in the  
10 current situation where this is in the news every  
11 single day and there's pressure on professional  
12 organizations, that we still see really  
13 inappropriate prescribing going on.

14 In my state, we passed a law for a new pain  
15 prescription for acute pain that could be no more  
16 than 3 days this past year. So what we're seeing  
17 is that physicians are prescribing the equivalent  
18 for 9 days or 12 days, but they're being written as  
19 a 3-day prescription so that they can obviate the  
20 problem of having a patient who wants more pain  
21 relief outside of the 3 days. So then you have  
22 young high schoolers going in and getting a

1 prescription that's reading 120 milligrams of  
2 hydrocodone a day. This is actually a real story.  
3 And then co-prescribing with benzodiazepines, for  
4 example -- and I know that there's a black box  
5 warning now, but it's just not sufficient.

6 So the whole idea that packaging could be  
7 used really to serve as education and reminders for  
8 physicians would be incredibly valuable. I at the  
9 same time know that it's really important to not  
10 limit access for the patients who need it, but I  
11 can tell you that the prescribing practices are  
12 still really alarming, from my perspective.

13 I work every day with people who have opioid  
14 use disorder. I also have worked really closely  
15 with the FDA on the abuse-deterrent formulations.  
16 I really have a hard time imagining that the most  
17 determined user who's physically dependent on  
18 opioids would be deterred by any packaging unless  
19 it had explosives in it.

20 I mean, I just can't -- no, I mean I'm  
21 really trying to think about what the technologies  
22 are. I just can't imagine that that's the person

1 that we want to target, because even with the  
2 abuse-deterrent formulations, as soon as one gets  
3 marketed, you can find how to extract the drug  
4 online. People are inventive and creative and very  
5 motivated. So I don't think that that's really the  
6 target population where we could make the most  
7 impact sooner.

8 DR. CHAN: Dr. Bosworth?

9 DR. BOSWORTH: As a trained psychologist, I  
10 looked at behavior. Two areas to focus on is  
11 linking the monitoring, so any successful behavior  
12 change really requires some form of monitoring, and  
13 then being able to report back to -- closing that  
14 loop to whatever capacity. I think incentives also  
15 people have mentioned briefly as well. Whether  
16 it's behavioral economics, whatever way we want to  
17 frame them, those are usually useful, but they're  
18 short term. That to me, the monitoring to whatever  
19 capacity, is one of the consistent issues that  
20 would probably underline whatever you look at.

21 I do want to make a comment that there's  
22 some reference to what I would interpret as

1 implementation science, but I also want to make  
2 people aware that there is a field growing that is  
3 de-implementation science, which in many ways I  
4 think is -- we try to think about getting all these  
5 successful products and programs into the  
6 healthcare system, but frankly we also have to  
7 think about how do we take the unnecessary or  
8 useless things out, and we really haven't thought  
9 too much about that as much, but NHLBI had  
10 sponsored a whole conference focusing on  
11 de-implementation about two months ago.

12           So I think while opioid use, we could look  
13 to adherence as a field, I think it's actually  
14 slightly different. It's not the opposite of  
15 implementation. De-implementation is a different  
16 field, and I think that there are some methods that  
17 we could look towards to help think about how we  
18 evaluate that and look at that. So I just wanted  
19 to raise that as an issue, de-implementation.

20           Lastly, I don't want us to forget the  
21 chronic pain individuals. I work in sickle cell  
22 and just really thinking about how do we create a

1 solution but also not throwing them out in the bath  
2 water either, so whatever capacity we can consider  
3 them as well.

4 DR. CHAN: Dr. Bosworth, you raise  
5 interesting points about monitoring potential  
6 technologies that might be integrated into some of  
7 these options that give feedback mechanisms, for  
8 example, and we've certainly seen some of these  
9 things out there.

10 So if we now add that to the equation,  
11 thinking about options that might be developed that  
12 could have these monitoring systems where a  
13 provider or a family member is notified, hey,  
14 someone's getting into this medication here, and I  
15 didn't expect it, in that scenario now, does that  
16 shift our opinions with regards to perhaps the  
17 value of some of these options in situations where  
18 you're looking at perhaps more intentional  
19 behaviors?

20 I'd let Dr. Bosworth start.

21 DR. BOSWORTH: I think that starts the first  
22 part of the solution, and you need that piece of

1 information. We see now, if I do look to the  
2 adherence literature, simply knowing someone is  
3 non-adherent is useless. What I want to know are  
4 the barriers and the facilitators. To me what that  
5 does is queue up that there needs to be the next  
6 step to understand what is going on and, frankly,  
7 training people.

8           So to whatever capacity, whether it's the  
9 pharmacist, or whether it's the primary care doc,  
10 whoever it is, we're not doing an adequate  
11 training. Just to simply put it back in their  
12 hands to assume they're going to close the loop I  
13 think is going to set us up for failure.

14           I just would look at it as a sequence. I  
15 think it's a starting point. It's really helpful.  
16 It's absolutely essential. But then thinking about  
17 what that incentive is to also create somebody to  
18 do that and try to think about how I can have  
19 solutions or tools to close that loop is really  
20 crucial.

21           DR. MASUCCI: I think Dr. Scharman was next.

22           DR. SCHARMAN: Yes. You were asking if

1 these were the appropriate problems to focus on.  
2 The one thing I think is not on this list are  
3 therapeutic errors. The number of patients on  
4 benzodiazepines, the number of patients with COPD  
5 taking these medications is a significant problem.  
6 So maybe it's not just opioid packaging preference;  
7 it's the co-existence of benzodiazepine packaging  
8 as well. I think we have to look beyond just  
9 simply opioids.

10 When it comes to labeling, maybe that goes  
11 into including the concept of prelabeling as well  
12 rather than educating the patients after the fact  
13 with a label that they take home like we do with  
14 other REMS programs. We will hand them a bunch of  
15 paperwork when it's dispensed. Again, when you get  
16 a vaccine, you're asked a series of questions  
17 before you get the vaccine; A, are you sick today,  
18 whatever? But we don't do that for opioids.

19 So the patient before they even get the  
20 prescription, is there pre-patient labeling where  
21 we make sure the patient is educated so that they  
22 understand if they're on a benzodiazepine, they

1 need to disclose. If they have a respiratory  
2 condition, they need to disclose. Did they  
3 understand that these medications are addicting?

4 I think we're in it so long, we assume  
5 everybody knows what an opioid is. We assume that  
6 someone knows hydrocodone is an opioid and is  
7 addicting, and I don't think they do. So I don't  
8 think patients can be advocates for themselves. I  
9 think pre-prescribing patient education so they can  
10 be their own safety monitors and advocates is very  
11 important. I think we need to think about that  
12 population as well.

13 DR. MASUCCI: Ms. Cowan was next.

14 MS. COWAN: When I'm thinking about  
15 disposing of these medications, I've talked to a  
16 very large, broad population. No one returns  
17 unused opioid medications because they're too hard  
18 to get, so they keep them, even if it's one or two  
19 pills. And I'm wondering if there was a way we  
20 could actually give people credit for returning  
21 them. In other words, so now their provider, their  
22 pharmacist, actually know that they actually do

1 return these, so the next time they need them, it  
2 wouldn't be so hard to get.

3 I don't know if there's a way of tracking  
4 that, not disposing of them in the places where  
5 nobody knows that they've actually returned them,  
6 but actually giving them credit for giving them  
7 back to the pharmacy to say, yes, I returned this,  
8 so now the next time I need it, I'll be able to get  
9 it. I don't know if that would help or not.

10 DR. MASUCCI: Ms. Buono was next.

11 MS. WHALLEY BUONO: Liz Whalley Buono. I  
12 think it's worth just revisiting electronic  
13 monitoring to answer your questions about that.  
14 There's a lot of experience with electronic  
15 monitoring both in the clinical trial as well as  
16 some in-market experience. It's not uncomplicated.  
17 And to Hayden's point, there are a lot of pieces  
18 that have to be put in place for there actually to  
19 be behavior modification. And it's also not  
20 inexpensive even though the price has come down.  
21 There are versions, whether it be a cap or  
22 RFID-fitted blisters, that are out there.

1           It's just important to recognize that  
2           there's got to be a backend solution to that so  
3           that the data captured can be analyzed and whether  
4           that's provided to the patient in the context of  
5           consumer convenience products, or whether it goes  
6           where it's more successful to the provider or the  
7           physician or the pharmacist so that you can look at  
8           what does the adherence pattern look like and  
9           discuss with the patients, to your point, why are  
10          you missing Tuesdays, that sort of thing.

11           So the cost really is not in the package  
12          itself, but the backend system and the fact that  
13          it's got to communicate with the various EHRs and  
14          things in order for that data to be used.

15           The last thing I'll mention is there's been  
16          some friction to uptake for in-market applications  
17          because nobody's quite clear whether if you put  
18          RFID fittings on a blister, does that then become a  
19          device, which causes the product to be a  
20          combination product, or is that merely a different  
21          type of packaging?

22           I think from a regulatory perspective as

1 well, if that's somewhere the FDA would like to go  
2 to see more of that, perhaps in a high-risk  
3 population where the cost is justified, there's got  
4 to be some clarity on how that's going to be  
5 regulated and how much data needs to be submitted  
6 behind that application.

7 DR. MASUCCI: Let's take two more comments  
8 on this question, and then we'll move on. I  
9 believe Dr. Mendelson was next.

10 DR. MENDELSON: Thank you. First off,  
11 what's not on your list is scheduling, drug  
12 scheduling from the DEA. If you are scheduled, if  
13 the opioid products are scheduled too, then you get  
14 into the problem that Sharon had. You get into the  
15 problem that if the doctor does not write an  
16 adequate amount of opioids, they're going to get  
17 called on the weekend and have to generate  
18 another -- you can generate these now on electronic  
19 health records. It's not difficult, but it's a big  
20 step of work that's uncompensated for primary care  
21 physicians. We primary care physicians, by the  
22 way, we're not illiterate. We do know our parents.

1 Someone said primary care, 35 percent were  
2 illiterate, and no, we're not. We know our  
3 parents.

4 But scheduling is a big issue, and I think  
5 if you're going to -- and you could propose dual  
6 schedules for small amounts of medication like 1 or  
7 2 days worth dispensed. You could maybe go down a  
8 notch to 3, and then allow a certain number of  
9 refills and still stay within Schedule 3. That  
10 would be smart.

11 Most of us who treat opioid-dependent  
12 patients, you start with daily control in methadone  
13 clinics, and you move to some kind of weekly  
14 control, such as Suboxone induction or  
15 buprenorphine induction. And eventually you move  
16 out to some longer form of control. So as people  
17 slip out of control, you go back to more daily  
18 dosing. You move back to daily, supervised dosing.

19 The model exists, but the schedules the DEA  
20 uses makes it very difficult for physicians to  
21 implement that. And for pharmacists, I have  
22 patients we write every 2 or 3 days, and if the

1 pharmacist is off, the patient doesn't get their  
2 medication. It's cumbersome right now.

3 DR. MASUCCI: Dr. Bix?

4 DR. BIX: Several of the comments that came  
5 up brought something to the front of my mind. We  
6 do a lot of work with labeling, and one of the  
7 comments on de-implementation and the other comment  
8 about patients not really understanding the  
9 addictive properties of these drugs brought  
10 something that we hear repeatedly, both when  
11 working with institutional providers and also  
12 consumers as well. And that's that extraneous  
13 information gets in the way of critical information  
14 that they need.

15 I think if there's a hole in the label  
16 comprehension approach that we take, it's that we  
17 pre-suppose attention to information. We don't  
18 really objectively evaluate are people looking at  
19 these things and are they capable of reading these  
20 things. We go straight to do they understand them.  
21 And really, information processing is serialized in  
22 that you have to pay attention to it. You have to

1 be able to read it in order to get to  
2 understanding. So I do think that that's a  
3 weakness of the way we approach label comprehension  
4 and something that we could look at objectively.

5 DR. CHAN: Thank you. And since you raise  
6 the issue of labeling, we are going to switch gears  
7 a little bit. Would including information in the  
8 labeling -- because throughout the presentation, we  
9 talked about some labeling considerations. Would  
10 including information in the labeling about some of  
11 the characteristics of these options, could that  
12 actually encourage innovation in this area if we're  
13 supportive of that approach? We'd be interested to  
14 hear.

15 MS. WHALLEY BUONO: As a packaging  
16 manufacturer, I'll just say that, obviously, if  
17 there is a critical role that can be met by a  
18 specific package design, then I think the answer is  
19 yes. What's going to spur innovation is adoption  
20 of the packaging concept.

21 Some of the various platforms around  
22 calendar blister packaging have large, flat

1 billboard space that can accommodate information,  
2 whether it be drug-specific information or whether  
3 it be information about the addictive qualities, or  
4 whether it be support services that are available.

5 Don't jump on me, but my mind goes  
6 immediately to tobacco warnings. And I know that's  
7 not what where we want to go because we want people  
8 to take their medication. But we know that scary  
9 packaging information, labeling information, is  
10 effective in educating people.

11 So I think if you can craft information that  
12 educates patients that the medication should be  
13 taken as directed, but misuse can lead to some  
14 pretty terrible things, it seems to me that that's  
15 kind of a common-sense approach. And the concept  
16 is that information has got to be accessible to the  
17 patient. It can't be the kind of information that  
18 goes home and gets thrown in the trash.

19 DR. CHAN: So when we think about including  
20 information, I guess the question might be, are  
21 there characteristics for which including that  
22 information would be more meaningful depending on

1       who's looking at it?  If we're talking about  
2       prescribers, patients, versus anyone else in  
3       industry, I'd like to get some thoughts around  
4       that.

5               DR. MASUCCI:  Dr. Webb?

6               DR. CHAN:  Mr. Webb?

7               MR. WEBB:  Kevin Webb, Mallinckrodt  
8       Pharmaceuticals.  As we think about labeling, one  
9       thing I would add -- and there are actually two  
10       comments to that -- is I would encourage the use of  
11       some type of a teach-back mechanism like we'd see  
12       in clinical practice where it's one thing to tell  
13       your patient to safeguard their medication or to  
14       lock them up, but without giving them the reason  
15       why; safeguard your medications because these  
16       things, to Liz's point, may happen.

17               We've done a significant amount of research  
18       with patients, and they yet don't see themselves as  
19       the source of the problem or as a part of the  
20       solution, so they're completely void of real  
21       engagement.  But I would encourage healthcare  
22       providers to not just say do this, but they need to

1 ask the question what are you going to do with this  
2 medication when you no longer need it or if you  
3 have leftover medications. Have the patient tell  
4 you.

5           So if we can put it in the labeling, that  
6 way it engages the patients, and now you have a  
7 proactive dialogue with them, because if they say,  
8 "Well, I'm not going to do anything with it," or  
9 "I'm going to keep it for my kids," now that  
10 becomes a stop point to say, okay, well, we need to  
11 have a more in-depth conversation.

12           But the other thing to your point regarding  
13 labeling from innovation, again, speaking from a  
14 manufacturer's perspective, it needs to be  
15 standardized. If you just leave it to a  
16 manufacturer to do a thing and leave it up to the  
17 manufacturer, you're going to have a lot of  
18 disparities. You're going to have a whole bunch of  
19 different options out there. Some are going to do  
20 it and some are not.

21           When you look at most of these medications  
22 from IR opioids coming out of a generic market, if

1 one manufacturer chooses not to engage, now you  
2 have uncertainty in the market as far what's being  
3 said and what's not being said. So there has to be  
4 some standardization across the field, and then  
5 allow them certain features to be added to it. If  
6 the manufacturer wants to be innovative or a  
7 packaging company wants to be innovative, he can  
8 make a better mousetrap, but there has to be some  
9 kind of baseline then to say, okay, this is what it  
10 would need to include.

11 DR. CHAN: Is there anyone else from the  
12 product development side, either industry or  
13 packaging side, that could share whether or not  
14 having information in the label alone is incentive  
15 enough, aside from the public health benefit,  
16 obviously? But kind of the carrot and stick, if you  
17 have good supportive data showing that your product  
18 has an impact, is having that information in the  
19 label something that would spur you to pursue  
20 something in this area?

21 (No response.)

22 DR. CHAN: I won't take that as a no. I

1 will take that as no one wants to comment. That's  
2 fine.

3 MS. WHALLEY BUONO: I'll just answer.  
4 Again, Liz Whalley Buono. Anything that gives the  
5 alternative type packages value and makes them more  
6 commonly use makes it a market where more people  
7 are going to enter and try to develop IP or  
8 alternatives that will be purchased and used.

9 DR. CHAN: Ms. Green?

10 DR. GREEN: Dr. Green.

11 DR. CHAN: I'm sorry.

12 DR. GREEN: I guess the one clarification is  
13 do companies apply for this labeling, then would  
14 some products have labeling and not have labeling.  
15 Products with labeling are likely going to be a  
16 little bit more expensive and not generic, and then  
17 the generics and other products will still be  
18 available, so what's the likelihood of those  
19 actually being dispensed?

20 I think that there are a lot of  
21 considerations of what the framework would look  
22 like. Would it spur innovation? Sure, but at the

1 end of the day, you still have to be able to get  
2 that product out into the community. And as we  
3 know from the ADF products, they're a lot of  
4 barriers to that.

5 The theories are great, and it's great to  
6 have that, but if we know something works and  
7 protects patients and the kids in the community,  
8 then why would we not have that as a requirement to  
9 entry to the market as opposed to a competitive  
10 advantage of some have labeling and some don't?

11 DR. CHAN: So I do want to go back to the  
12 question we asked about depending who's looking at  
13 the label, what might be most meaningful to  
14 include. I am curious then about that for the  
15 prescribers. We focused a little bit of discussion  
16 here in terms of spurring innovation and the  
17 attractiveness perhaps from the industry  
18 perspective, if there's something meaningful to say  
19 about them. But for the prescribers, what would be  
20 meaningful for you in terms of making decisions  
21 about whether or not to prescribe them?

22 (No response.)

1 DR. CHAN: Any takers?

2 DR. KELMAN: [Inaudible - off mic]. What  
3 exactly were you thinking of in terms of labeling  
4 for the prescriber if you had a certain packaging  
5 unless the label actually limited the packaging  
6 under the FDA branding?

7 DR. CHAN: Right. Throughout the  
8 presentation, we had different examples of labeling  
9 considerations, some that spoke to a description of  
10 what the technology might do; this package X or  
11 whatever it is does Y, which is just describing  
12 what it's achieving. And then you could also have  
13 an approach more to the ADFs, which  
14 Dr. Throckmorton talked about, which was we expect  
15 it to do X, Y or Z. We have some data that leads  
16 us to believe that, so we expect this outcome, but  
17 that needs to be validated in the real world.

18 Then you perhaps have an opportunity where,  
19 depending on the option and the way it's developed,  
20 and the data that's produced, you could actually  
21 say more definitively that in pre-market trials,  
22 this was observed. If you had a pragmatic trial

1 that was conducted, you were able to put that in.

2 So just trying to understand what's going to  
3 push prescribers and the payers as well for  
4 payment, in terms of adopting these?

5 DR. KELMAN: [Inaudible - off mic].

6 DR. THROCKMORTON: Jeff, you're asking a  
7 great question. I think the discussion this  
8 morning was loosely around the NDA model. The idea  
9 was that you'd have a specific product with a  
10 specific kind of language in it. You would lay out  
11 the advantages of that product then others, and  
12 typically that's done through NDA or something.

13 Now, a couple people, Dr. Green and some  
14 others, have just raised the idea of a standard, of  
15 a requirement used under one of our authorities  
16 saying all products with a certain characteristic  
17 will have labeling packaging of a certain type. So  
18 in that case, there wouldn't be an individual  
19 commercial advantage. They'd be required to be in  
20 an abuse packaging or something like that.

21 But for us the challenge is identifying what  
22 works, and that's going to be what we're talking

1 about in the next couple days, what we know works,  
2 actually works, sufficient that we would in fact  
3 impose that kind of requirement on a class of  
4 medicines. That's something we've been told to be  
5 pretty careful about, but if we got to that space,  
6 then that would, at least in this conversation, be  
7 related to safety.

8 I think to answer your question, it's at  
9 least in the realm of safety, but we'd have to know  
10 that it was a packaging solution that in fact did  
11 the thing it was supposed to. The alternative is  
12 to use individual product development as we collect  
13 that information. So it's a great tension. It's a  
14 great question. It's one that we're struggling  
15 with.

16 DR. KELMAN: [Inaudible - off mic].

17 DR. THROCKMORTON: It makes it what?

18 DR. KELMAN: Much more relevant to market  
19 uptake. You can put everybody in an  
20 abuse-deterrent packaging if you made it part of a  
21 requirement, obviously.

22 DR. CHAN: Dr. Ciccarone?

1 DR. CICCARONE: Dan Ciccarone, UCSF. To  
2 answer your question around what would make it  
3 easier for providers -- I'm sorry, what would  
4 motivate providers, my answer is make it easier in  
5 some way. So is there something about labeling or  
6 how the pharmaceutical industry interfaces with  
7 this type of drug that would make it easier on the  
8 provider? And the answer is to not add all these  
9 burdens about how primary care providers need to do  
10 this for patients. They're not doing it now  
11 because they don't have the time.

12 Maybe we can put it off for the pharmacists  
13 to do this with free education and stuff like that.  
14 Maybe the pharmacists don't have the time either.  
15 And maybe you can put it into a label and put the  
16 burden on the patients to educate themselves, and  
17 maybe some portion of the patients are medically  
18 illiterate or perhaps labeling is already so  
19 burdensome, they get this packet of paper and they  
20 toss it.

21 What can the role of the pharmaceutical  
22 industry be in terms of they see this with under

1       indications, with other diseases, where they  
2       support this, call this number for more information  
3       and join this location, social media, educate  
4       yourself about those types of drugs.

5               Perhaps QR readings where people could scan  
6       it. I guess that adds another burden in terms of  
7       literacy, but you can get a whole bunch of  
8       information through a video. We can even go on to  
9       the intrusiveness as Gottlieb brought up earlier.  
10       We can make this mandatory, mandatory for the  
11       patient that says you can't unlock the bottle  
12       unless you've watched this video.

13               I'm just thinking, to answer that question  
14       what makes doctors' life easier is to help patients  
15       become activated and educated and energized in ways  
16       that don't take up an extra 10 minutes in the  
17       clinic.

18               DR. MASUCCI: For our next topic, we touched  
19       on this a little bit, which is question 6. We  
20       heard a few comments about striking the right  
21       balance between something that would be helpful and  
22       something that would be overly cumbersome and

1 actually have negative consequences to patient  
2 access or clinical negative consequences.

3 Are there others who'd like to comment on  
4 any thoughts on how we might strive to strike that  
5 balance, recognizing actually what we say in  
6 question 7, that there's not necessarily going to  
7 be a one-size-fits-all solution via packaging to  
8 this problem? Dr. Bosworth?

9 DR. BOSWORTH: I don't have a good answer to  
10 that, but I can say that for every time we do an  
11 intervention or any program, there's going to be  
12 unintended consequences. So this again goes back  
13 to creating a really robust evaluation  
14 infrastructure.

15 To whatever extent you all decide, you have  
16 to be able to look to see what's going on. So  
17 whether it impacts the physicians, whether it  
18 impacts the pharmacy, the labeling, the  
19 individuals, just all too often we do something out  
20 of impulsivity and don't think about what the  
21 consequences are. So I just want to emphasize  
22 that.

1 DR. MASUCCI: Mr. Smith?

2 MR. SMITH: I would make two comments in  
3 terms of unintended consequences. One, if you're  
4 talking about the information going out to  
5 patients, the inserts or the labeling for patients,  
6 just take care that there's not duplication.  
7 There's already a lot of information going out to  
8 patients. If you are just adding on more  
9 information, and some of it may be duplicative or  
10 you're just inundating them, you may actually have  
11 a lack of effectiveness.

12 Second, when it comes to disposal -- and  
13 this kind of goes back to some earlier points about  
14 drug take-back -- there is a risk of actually  
15 promoting diversion with take-back to some extent.  
16 You can run into issues of diversion with  
17 mail-back. You can run into issues of diversion  
18 for people that are trying to put their drugs in a  
19 take-back receptacle, somebody who is seeking out  
20 that drug is waiting for people to come along, and  
21 then say assault them. They try to take the drug  
22 away from them; people breaking into take-back

1 receptacles.

2 We advocate various options in terms of drug  
3 disposal for patients. We've fought against  
4 ordinances that try to impose a sort of one-  
5 size-fits-all solution when it comes to disposals.  
6 Those are the two concerns I would raise on  
7 disposal and instructions or labeling to patients.

8 DR. MASUCCI: I think we had one final  
9 comment.

10 DR. EMMENDORFER: Let me just say for the  
11 packaging, one thing to consider for an unintended  
12 consequence, unit of use, for example, now are you  
13 creating a scenario where somebody may have  
14 previously used the child safety cap and the only  
15 way to get into the packaging is to pop them all at  
16 once, having a loved one do that? Are you actually  
17 setting up an example where that could be in a  
18 Dixie cup? I think most of us in this room  
19 probably have run into those types of patients that  
20 have those dexterity issues. I would want to throw  
21 that out for unintended consequences for packaging  
22 to consider.

1           Then honestly, I didn't think about it until  
2 John mentioned it, but are you creating -- and I  
3 don't know the answer to this question, but I do  
4 think it's worth exploring, is are you creating a  
5 marketing in the street value of a particular  
6 package because of the likelihood of that being the  
7 real opioid in there, is it higher?

8           DR. MENDELSON: Definitely. We should  
9 choose the nickname now.

10          DR. MASUCCI: Yes, final comment?

11          DR. COX: Thanks. Elizabeth Cox from the  
12 pediatric department of Wisconsin. I was thinking  
13 about the packaging thing, and thinking about it in  
14 a risk-based strategy. Obviously, we have people  
15 with dexterity or whatever issues who may have  
16 difficulty getting into these packages, but I've  
17 also had the experience clinically where I just  
18 offer an explanation about why having this  
19 medication in their household may not be safe, or  
20 having it not in tamper-proof packaging is not  
21 safe. So I look in the room and I see a family  
22 with a toddler and a 4-year-old, and they're asking

1 me for a script for pain medication, if I can just  
2 help them understand why not having that in their  
3 household may be the best choice, it's a much  
4 easier conversation.

5 So it makes me think about a risk strategy  
6 where you might have some key questions to ask  
7 people before they refuse child-proof tampering or  
8 tamper-resistant packaging as opposed to imposing  
9 it on everyone or allowing everyone to opt out  
10 without offering them information.

#### 11 **Audience Participation**

12 DR. CHAN: Thank you very much. At this  
13 time, we're going to move to the audience  
14 participation session. If there are any audience  
15 members that wish to speak, could you please line  
16 up behind the microphone, and there will be a staff  
17 member to assist you if there's anyone who wants to  
18 come up.

19 We do ask that you focus your comments on  
20 this session's topics. I'm going to review the  
21 procedure for the audience participation. As  
22 mentioned earlier, you will be given up to

1 3 minutes to provide comments. There will be a  
2 light system that will keep time and notify you  
3 when the time is complete. Again, FDA staff will  
4 be available to assist.

5 The light system works just like a traffic  
6 signal. If the light is green, you can continue  
7 speaking. When the light turns yellow, that means  
8 you have one minute left for your time, and you  
9 should begin to summarize your comments. And then  
10 when it turns red, the blinking light means to stop  
11 speaking and please return to your seat.

12 Just as a reminder, any additional comments  
13 and information can be submitted until February 12,  
14 2018 to the docket. And with that, we can have the  
15 first speaker come up. Please introduce yourself.

16 MR. IORIO: Hi. My name is Matthew Iorio,  
17 part of the public. I just want to raise the  
18 possible unintended consequence with any sort of  
19 solution that restricts the version of legal  
20 opioids. We just have to be cognizant to a shift  
21 potentially to other illegal drugs. I'll just put  
22 that out.

1 DR. CHAN: Thank you. Yes?

2 MR. LANGLEY: My name is Nathan Langley.  
3 I'm a co-founder and vice president of business  
4 development over at Safer Lock, a shameless plug.  
5 It's a combination locking cap for prescription  
6 bottles, tamper-evident, abuse-deterrent packaging,  
7 that we hope will be considered at some point to  
8 help address the opioid epidemic.

9 My question is, a lot of the comments I was  
10 hearing and questions were very population  
11 specific. And understanding that there is no  
12 silver bullet and that there is no  
13 one-size-fits-all solution, what population can you  
14 help?

15 I agree with a few of the comments that  
16 someone who's addicted or doesn't care, packaging  
17 might not help them unless there's an explosive in  
18 it. But to Kevin Webb's comment, where he  
19 mentioned maybe a curious teenager, of which at age  
20 12 to 17, there's 3,000 kids that experiment today,  
21 which is over a million children a year, I think  
22 there is an opportunity there once the population

1 is identified.

2 I attended a very similar meeting which was  
3 on abuse-deterrent formulations. Something that  
4 they determined was an acceptable failure, and I  
5 believe they said that their population's more  
6 focused probably on someone who is addicted and  
7 further along, and they determined an acceptable  
8 failure rate for someone who wants to smash or melt  
9 a pill was I think 15 minutes.

10 So what would be an acceptable failure rate  
11 for packaging once we identify the population that  
12 it can help? The current acceptable failure rate,  
13 according to the Poison Prevention Packaging Act of  
14 1970, is age 5. So do we increase that, and how do  
15 we identify that for determining what that is?

16 So my question I guess is what is the  
17 population that we can help and what would an  
18 acceptable failure rate for that population be?

19 DR. CHAN: Thank you for your comment.

20 MS. HOBOY: Good morning. My name is Selin  
21 Hoboy. I'm with Stericycle, and we're a healthcare  
22 waste services provider company. My comments are

1 related to a few different things, and I'll try to  
2 keep them brief.

3 One is, as some mentioned about bringing  
4 drugs back to the pharmacy or to the provider, we  
5 do need to be cognizant of the closed-loop system  
6 of the DEA regulations that prohibit that type of  
7 activity, and maybe looking at those regulations  
8 and considering are there some additional changes  
9 that can be made to the 2014 regulations that came  
10 out. I'd strongly suggest that that be done.

11 Then the other comment that was made  
12 regarding take-back programs and the different  
13 types of programs that are out there, both  
14 mail-back and for drop boxes, we've been providing  
15 services for that type of alternative for the last  
16 couple of years since the regulations came out, and  
17 we service a lot of the programs out west that  
18 we're kind of the frontrunners, and we haven't seen  
19 the types of diversion issues that were mentioned.  
20 We haven't had a lot of break-ins or people trying  
21 to attack people. We understand that that is part  
22 of the risk determination that many pharmacies and

1 retail facilities look at, but so far we haven't  
2 experienced that, fortunately. I'd like to  
3 recommend that that be looked at even further as  
4 well.

5           Lastly, keeping things simple for the  
6 general public I think is always the best, so we  
7 use a lot of pictograms on our boxes for people to  
8 be able to understand what it is they can and can't  
9 put in there. Maybe that's something to look at.  
10 Just like OSHA has the global safety information  
11 now, maybe that's something that can also be looked  
12 at from the pharmaceutical companies, to have  
13 pictograms that say, okay, here's the yuck factor,  
14 or here's the disposal type of container you can  
15 use to put this in there.

16           Just something keeping it simple for the  
17 consumer would be ideal because when we get the  
18 prescription information in that two pages of  
19 stuff, it doesn't talk about where do I go and what  
20 do I do when I need to get rid of this stuff.

21 Thank you.

22           DR. CHAN: Thank you very much.

1           It looks like that concludes audience  
2 participation, as I don't see anyone else lined up.  
3 We're actually a little bit ahead of schedule, so I  
4 think we're going to go ahead and take our break a  
5 little bit earlier. I'm going to ask that people  
6 please return to the room by 10:35 and take your  
7 belongings with you. Thank you.

8           (Whereupon, at 10:20 a.m., a recess was  
9 taken.)

10           **Session 2 Presentation - Gary Slatko**

11           DR. SLATKO: A couple of quick  
12 announcements. Any additional comments that  
13 anybody wants to make, we do have an open docket  
14 that's been published. The way to access that is  
15 to go to the FR notice that's been published. You  
16 can find that FR notice on the meeting website  
17 that's available that many of you probably saw  
18 prior to coming to the meeting. So we do welcome  
19 public comments, and that docket will be open for a  
20 period of time after the meeting's over. Thank  
21 you.

22           I'm Gary Slatko. I'm the associate director

1 in the Office of Medication Error Prevention and  
2 Risk Management in CDER, and my presentation today  
3 is on Design Considerations for Packaging, Storage,  
4 and Disposal Options to Enhance Opioid Safety.

5 Here is my disclaimer, which is the same as  
6 Dr. Chan's earlier. I will say that I will be  
7 presenting some specific examples of different  
8 types of designs, and these should not be  
9 considered any endorsement or recommendation by FDA  
10 of any particular products or options, but are  
11 presented as examples for illustrative purposes  
12 only.

13 Here's an outline of my presentation. I'll  
14 pick up on the four opioid use problems that Dr.  
15 Chan discussed and present a conceptual design  
16 approach to addressing those problems. I'll  
17 discuss this approach in three stages, first,  
18 analyzing the opioid use problems for associated  
19 behaviors; second, considering three categories of  
20 potential design options: existing options, novel  
21 options, and integrated approaches. I'll then  
22 describe an end-user validation stage that

1 generates data about end user needs and can help  
2 anticipate implementation barriers. I'll finally  
3 conclude with some design principles to consider.

4           In terms of addressing the opioid use  
5 problems of accidental exposure, misuse,  
6 third-party access, or excess supply, these  
7 problems each have various behaviors associated  
8 with them. Some of these behaviors manifest in the  
9 patient themselves; others manifest in their home  
10 or community setting, including among family  
11 members, friends, visitors, healthcare providers,  
12 and others.

13           Options have been designed in an effort to  
14 try to deter or manage opioid use problems.  
15 Historically, some of these had been repurposed  
16 from other primary applications, such as adherence  
17 re-enforcement technologies. Recently, more  
18 innovative or tailored options have emerged that  
19 target certain behaviors that are associated with  
20 the different opioid use problems.

21           Given that background, I'll now introduce an  
22 approach to identifying target behaviors, designing

1 options with some examples of each, and then  
2 validating these options as a way to think about  
3 designing options in the future to improve opioid  
4 safety.

5 This graphic depicts the three stages of a  
6 possible conceptual design approach. It starts  
7 with analyzing the opioid use system to identify  
8 problems and associated behaviors. The identified  
9 behaviors then become targets for the selection or  
10 development of different design options.

11 The design should then be validated with  
12 assessments of end-user safety and effectiveness,  
13 end-user acceptance testing, and determining their  
14 ability to use or implement the design.

15 This then generates data that can help to  
16 inform design modifications and may necessitate  
17 additional end-user validation. In this sense, the  
18 third stage is iterative with the second stage.  
19 Finally, the data from the validation process can  
20 be used to support regulatory submission.

21 I'll now dive a bit deeper into each of  
22 these three stages. The first stage of the design

1 approach is analysis. This entails analyzing how  
2 the opioid use system may fail, leading to an  
3 understanding of the behaviors that could be  
4 associated with those potential failure points.  
5 These behaviors in turn become targets for  
6 potential design options that are intended to deter  
7 or manage those behaviors.

8 For example, in the second line, the  
9 healthcare provider prescribes excessive amounts of  
10 medication as a failure of the system. The  
11 associated behaviors may be that the healthcare  
12 provider is unaware of the appropriate amount of  
13 medication to prescribe or they may simply be in  
14 the habit of prescribing a set amount of medication  
15 and a set dose and supply. A unit of use blister  
16 package with a limited supply may be one potential  
17 design option to address that type of behavioral  
18 failure.

19 Analytical methods exist like failure mode  
20 and effects analysis, or FMEA, and probabilistic  
21 risk assessment that can prospectively analyze  
22 processes or a system for potential failures and

1 associated behaviors as targets for future designs.

2 The second stage of the design approach is  
3 considering three broad categories of design  
4 options that can address the targeted behaviors:  
5 existing, novel, and integrated approaches.

6 Existing options are basically preexisting designs  
7 that are being applied or repurposed to be used  
8 with opioid medications. Novel options are new  
9 designs developed to prevent or deter, detect or  
10 track, or monitor or manage targeted behaviors  
11 associated with specific opioid use problems.

12 Integrated approaches combine the first two  
13 and/or use a multimodality approach. This can  
14 include redesigning an existing tool or combining  
15 designs to address multiple behaviors concurrently.  
16 Another integrated approach could be to integrate a  
17 design within a healthcare management program like  
18 a risk evaluation or mitigation strategy, or REMS,  
19 or into a delivery system program.

20 I'll talk about some examples of each of  
21 these to illustrate some features and possible  
22 strengths and weaknesses of each. In terms of

1 existing options, there are different types that  
2 are or could be used or repurposed for opioids, and  
3 we heard a little bit of discussion about some  
4 possibilities already. These could include  
5 calendar blister packaging; packaging that limits  
6 supply; designs that control access such as locking  
7 caps; tamper detecting or resistant packaging; and  
8 deactivating or disposal approaches.

9 Here is a marketed example that many of us  
10 have experience with, the zithromycin or Z-pack.  
11 It limits the number of days' supply of a  
12 medication and visually tracks medication  
13 consumption. There's also space on the packaging  
14 available to communicate instructions to the  
15 patient. And, as Dr. Gottlieb mentioned this  
16 morning, the Medrol Dosepak would be another  
17 example of this type of packaging.

18 This approach has the benefit of being a  
19 lower end technology that does not substantially  
20 alter the patient's medication-taking routines, but  
21 it is limited in that it doesn't control medication  
22 access or limit the rate of consumption. There

1 have been some recent innovations with blister  
2 packaging that could allow the detection and  
3 cellular technology reporting of when a blister has  
4 been opened, as was mentioned a little earlier.

5 Another marketed example is a locking cap,  
6 which controls bottle access. Again, this is a  
7 lower technology approach. It is relatively  
8 passive, with only a minimal impact on patients'  
9 medication-taking routines. In this example, the  
10 bottle itself is also opaque and therefore conceals  
11 the bottle's contents. However, this would not  
12 limit the frequency of bottle openings or the  
13 amount of contents that could be accessed with each  
14 opening. It could also slow down access by  
15 intended patients.

16 A third marketed example is medication  
17 deactivating systems or solutions. These are also  
18 lower technology and could be used on oral as well  
19 as other types of formulations. The disadvantages  
20 of these is that they do require additional  
21 discretionary steps be taken by the patient, and  
22 some of these do have out-of-pocket expenses.

1           A second category of design options are  
2 novel options that target behaviors associated with  
3 opioid use problems. A number of these more novel  
4 technologies are in development or have been  
5 introduced. They include tracking bottle cap  
6 openings with Bluetooth technology; embedding an  
7 ingestible sensor inside the pill or capsule;  
8 cellular modules that report blister package  
9 openings; and systems that control and track  
10 dispensing.

11           These are all more targeted and more  
12 information generating than the prior category, but  
13 they do have greater complexity associated with  
14 their use. Many have several higher technology  
15 component elements with associated costs, and  
16 active actions are often required of the patient  
17 and/or the healthcare provider.

18           Additionally, they may not be universally  
19 available to individuals who don't have  
20 smartphones, or WiFi access, or access to other  
21 technologies.

22           One novel example is the Abilify MyCite

1 product that was recently approved. The ingestible  
2 sensor in the tablet signals ingestions to a skin  
3 patch, which in turn reports to a smartphone  
4 application and Web portal.

5 This technology confirms most but not all  
6 ingestions, and it can include healthcare provider  
7 oversight of medication taking. Additionally, the  
8 sensor could provide a way to track individual  
9 tablets. However, there are a number of active  
10 steps required for this higher technology approach,  
11 and it has both financial costs as well as requires  
12 healthcare provider time to ensure that the patient  
13 is capable and willing to use it. It does not  
14 manage access or consumption rate, and detection  
15 delays may occur.

16 A third category of design options is  
17 designing and/or combining options together or  
18 integrating options with other healthcare programs  
19 or systems. An existing option can be redesigned  
20 to better address a target behavior. Options can  
21 be redesigned or combined in ways to address  
22 multiple target behaviors concurrently. These can

1 be also integrated together within safety  
2 management programs like REMS or healthcare  
3 delivery system programs like prescription drug  
4 monitoring, MTM programs, or other initiatives, as  
5 well as some of the things we've heard about  
6 earlier about special education programs, training  
7 programs, and those kinds of initiatives.

8           An example of an integrative approach is  
9 Lazanda. It combines several existing  
10 interventions and uses these within a transmucosal  
11 immediate-release fentanyl, or TIRF, REMS program.  
12 Here, there's a child-resistant container and a  
13 counter that tracks doses used and doses remaining.  
14 The packaging limits the total supply to 8 doses  
15 per bottle, and the packaging includes a separate  
16 disposal pouch to facilitate product disposal.

17           This packaging is tied together within the  
18 TIRF REMS Access program in which the healthcare  
19 provider must enroll and review the educational  
20 materials. Outpatients have to understand the  
21 risks and benefits and sign an agreement.  
22 Pharmacies must enroll in the program and agree to

1       comply with the REMS, and wholesalers and  
2       distributors must enroll and distribute only to  
3       authorized pharmacies. This does not control the  
4       rate of dosing and is vulnerable to errors and use.  
5       For example, someone might forget to re-store the  
6       spray bottle in the container.

7               The last step of the conceptual design  
8       approach is validation which generates data about  
9       the design option. Data can be used to help inform  
10      the design and/or design modifications, as well as  
11      to support regulatory submission. I'll talk a  
12      little bit more about this last step since we'll be  
13      talking much more about data tomorrow.

14              Data can be generated to validate safe and  
15      effective use by the patient to demonstrate that  
16      patient needs are being met and to show that  
17      patients are able to use the option successfully.  
18      This work can look at whether a patient finds the  
19      design acceptable and are willing to use it,  
20      they're able to comprehend how to use it, can  
21      demonstrate that they're able to use it, and it  
22      considers patient preferences in the design.

1           The validation should be undertaken  
2 iteratively. It can help inform the initial design  
3 of the option or modifications that may be needed  
4 during the design process, and the results can  
5 provide data to support the submission.

6           Another important consideration in design is  
7 anticipating and addressing potential  
8 implementation barriers. These barriers could  
9 include requiring the patient or healthcare  
10 provider to undertake active steps in medication  
11 taking or to undertake manual activities. Both of  
12 these could potentially be mitigated by more  
13 passive and/or automated design features.

14           Designers should also think about how to  
15 enable the design to be used on a sustained basis  
16 over time by patients. They should consider and  
17 attempt to mitigate potential unanticipated  
18 consequences of their design. They should also  
19 consider the possible use failure, generating  
20 use-failure data from such as we heard about  
21 earlier like data that might come from packaging  
22 use failures and use that information to help

1 design improvements that would avoid such use  
2 failures, and they might consider building  
3 redundant features into the packaging design.

4           Finally, designers should think about the  
5 healthcare delivery system into which the design is  
6 going to be introduced and distributed. There are  
7 potential system integration issues that may have  
8 to be addressed, such as the feasibility of  
9 distribution; timeliness or availability for  
10 legitimate patient use; the availability for repeat  
11 use; the affordability of the option; and other  
12 considerations.

13           I'll conclude with four principles that  
14 designers should keep in mind that I've covered  
15 today. First, use an evidence-based approach to  
16 analyze the use problems and identify associated  
17 behaviors to target for designed interventions.

18           Second, design with the end user in mind,  
19 addressing one or more target behaviors, while  
20 minimizing foreseeable end user errors, and  
21 potential implementation barriers.

22           Third, anticipate possible second-order

1 effects of the option. Expect and mitigate  
2 unanticipated consequences, and consider designing  
3 redundancies to offset possible use failures.

4 Finally, consider the real-world programs  
5 and systems into which the design will be used.  
6 Designs could disrupt other options or existing  
7 safety programs or delivery systems, and  
8 redundancies may be required to back up and prevent  
9 failures of implementation. Thank you very much.

10 (Applause.)

11 **Panel Discussion**

12 DR. CHAN: Can folks hear me? We're doing a  
13 little better with the mic now. Okay. Thank you,  
14 Dr. Slatko.

15 We have developed questions to guide the  
16 panel discussion for this session on design  
17 considerations, and I think we're trying to get  
18 those up on the screen now. We have five primary  
19 questions that we'd like to discuss over the next  
20 60 minutes. As mentioned in the last panel  
21 discussion session, Paul, who's sitting here to my  
22 right, will be assisting us to make sure that we

1 call on you to provide comments to the session. If  
2 you'd like to comment or a question, please just  
3 raise your hand.

4 So let's begin with the first question. Oh,  
5 we've got a comment even before the question. Yes?

6 DR. KELMAN: [Inaudible - off mic].

7 DR. CHAN: I'll repeat the question since  
8 we're having some mic issues. The initial question  
9 to the FDA was whether we see these as drugs or  
10 devices. I think it's a little early to say. I  
11 don't think we know, and it's going to depend  
12 really on what's being developed. I think at the  
13 end of the day, depending on what's developed and  
14 depending what it's purporting to do, that's going  
15 to drive the regulatory pathway under which it may  
16 come in. And we will actually get into some of  
17 this discussion a little bit further after lunch  
18 when we go into the regulatory considerations in  
19 Session 3.

20 Moving on, in the prior session, we walked  
21 through four high-level problems where we thought  
22 there could be a potential role for these

1 packaging, storage, and disposal options, thinking  
2 about accidental exposure, misuse, third-party  
3 access, and excess supply. We had some good  
4 discussion around potentially other problems as  
5 well.

6 In this particular session, Dr. Slatko has  
7 talked about thinking through the associated  
8 behaviors with those problems and a framework in  
9 terms of a design approach in order to develop  
10 these design features and technologies that can  
11 actually address those associated behaviors.

12 What we'd like to better understand now, to  
13 start, is what steps or approaches do packaging  
14 developers currently follow when designing these  
15 packaging, storage, and disposal options? As a  
16 sub-question to that, we'd also like to understand  
17 to what extent are developers thinking about  
18 implementation into the healthcare system; and more  
19 broadly for the panelists, does that need to be a  
20 component when thinking through design?

21 Who would like to begin this discussion?  
22 Liz, please go ahead.

1 MS. WHALLEY BUONO: You're going to turn off  
2 my mic pretty soon. This is Liz Whalley Buono. I  
3 can just speak from the N of 1 in answering this  
4 question. When thinking about innovative packaging  
5 considerations, obviously we have to think about  
6 meeting regulatory constraints, passing CR testing.  
7 We have to think of FMEA and HFE type evaluations  
8 to make sure that the patients can use them; that  
9 they don't have difficulty in things like opening  
10 and closing.

11 We partner with organizations like the  
12 Arthritis Foundation to get markings around ease of  
13 use, especially in specific populations. There are  
14 environmental issues. There has been a big  
15 transition from plastic base to biodegradable paper  
16 base.

17 Those are kind of the design evaluations.  
18 We've spent the last 10 years or so taking a more  
19 scientific approach about evaluating the platform  
20 of calendar blister packaging, both from  
21 preclinical to clinical types of evaluations and  
22 publishing those.

1           I think the market considerations are also  
2 very complex, so there is tremendous investment in  
3 central fill organizations where drugs are put into  
4 bottles. The United States is a cap-and-vial  
5 culture, if you will, so to shift that to something  
6 like, let's say, calendar blister packaging is not  
7 uncomplicated. It requires a completely different  
8 filling process and different standards. In all of  
9 that, there's got to be a willing investor to do  
10 that.

11           On the pharmacy side, you have things like  
12 shelf space, additional NDC numbers when you've  
13 already got very crowded NDC lists and things like  
14 that. If you track the pill, if you will, there's  
15 regulatory, there's market, and then the patient  
16 usability issues are huge.

17           DR. CHAN: Thank you. I think we have  
18 another comment from Mr. Smith.

19           MR. SMITH: I just want to pair off of one  
20 thing that she said, and that was about the shelf  
21 space. One consideration, in any type of packaging  
22 changes is the bulk, the size. There is limited

1 space, and it occurs in multiple places. It occurs  
2 in the distribution centers where these things have  
3 to be kept in vaults. You have to think about the  
4 bulk of what you are packaging and how much space  
5 that takes up, and what changes would maybe need to  
6 be made, is there space, and what that cost is  
7 going to be. Then even when it gets into the  
8 pharmacy in terms of putting it in a safe, again,  
9 you've got that same issue.

10 So that's a good point. I want to reiterate  
11 it. A major concern for a pharmacy is the space  
12 involved, both at the distribution center and,  
13 again, at the pharmacy level.

14 DR. CHAN: Mr. Webb?

15 MR. WEBB: Thank you. Kevin Webb,  
16 Mallinckrodt Pharmaceuticals. I agree with what  
17 Mr. Smith and Liz were saying. The presentation,  
18 there's a whole litany of things that we as a  
19 manufacturer would go through. It's obviously a  
20 design phase. But the other thing that we'd also  
21 be looking at is intellectual property. Who owns  
22 this? What's the impact? Is this something that

1 we would be contracting out? Is this something  
2 we'd be expected to design in-house?

3           Then the timeline it would take to  
4 reconfigure the manufacturing lines, if this is  
5 just going to be a tray bottle that continues to  
6 come off the line and the retail pharmacist is  
7 going to repackage it, or a distributor, or  
8 someone's going to put it into a bottle that has  
9 the locking mechanisms, that's one thing. But if  
10 the manufacturer is going to be expected to  
11 reconfigure their lines, that just doesn't happen.  
12 There are a whole lot of discussions that have to  
13 happen. We have to look at the cost implications.  
14 Are we retrofitting [indiscernible] a line? Are we  
15 putting new lines in?

16           So there's a significant time delay as we  
17 look at speed to market and the sense of urgency.  
18 And that kind of goes to my other point of what are  
19 we trying to do now to start mitigating the  
20 problem. If this is going to be a very complicated  
21 design, you're looking at years in the process.  
22 But if it's something that we're just reconfiguring

1 the line or adding some different features to it,  
2 well then, that's a little bit more timely.

3 DR. CHAN: I've heard touch points with  
4 regard to implementation considerations, and I am  
5 curious, to turn this back, how much of that is  
6 considered currently in the design process?

7 MS. WHALLEY BUONO: Logistically, it can be  
8 done. Let's say, for example, how to put the drug  
9 in the package. There's a whole industry around  
10 packaging and contract manufacturing. Typically,  
11 that's the easiest route to market. We do have  
12 some branded manufacturers who are putting these  
13 lines in, so moving it in-house if you will, if  
14 it's a platform that they feel they want to run  
15 across several products for adherence purposes.

16 In order to have a customer invest in this  
17 type of packaging, there's obviously got to be an  
18 ROI and a willingness to invest. So all of those  
19 considerations are part of it, kind of  
20 idiosyncratic things. Like if it's considered  
21 repackaging, it's not a reimbursable product, so  
22 it's got to be manufactured origination packaging.

1 So there are a lot of issues that come into play in  
2 order for this to be feasible for the manufacturers  
3 to undertake. Retail pharmacy has also taken this  
4 on themselves and done it as part of their retail  
5 pharmacy packaging operations.

6 DR. SLATKO: Dr. Bosworth?

7 DR. BOSWORTH: I just want to make sure I'm  
8 understanding also part of your question. When  
9 you're using the term "implementation," are you  
10 using it in a scientific method or are you just  
11 using it in terms of the process of getting it out  
12 onto market?

13 DR. CHAN: Right. I think we're really just  
14 thinking about the practical considerations of  
15 getting something into the market and how much  
16 future thinking about that is actually taken into  
17 consideration early in the design phase.

18 DR. BOSWORTH: So as a researcher focused on  
19 the implementation side, I do think, though, there  
20 is something to consider because part of it is if  
21 you create the product and then think of the  
22 implementation, you've lost the battle. The

1 implementation has to begin almost as if you think  
2 from a drug trial from a phase one: how is it  
3 going to be used; who's going to use it; and why  
4 are they going to use it?

5           So I think that that transition to what I'm  
6 thinking of implementation science should be pretty  
7 straightforward and not taking the typical 17 years  
8 that we see in the literature. So again, it sounds  
9 like you're thinking about it in a different  
10 perspective, that I think the methods could be  
11 utilized to help -- and scalability and things like  
12 that, those are all fidelity. These are all  
13 components that you're probably going to think  
14 about that need to be considered as well.

15           DR. SLATKO: You had mentioned the  
16 repackaging is not being reimbursed. I can  
17 envision that there could be some technology that  
18 would be something that a patient would use and  
19 then have refilled, take that to a pharmacy to have  
20 refilled after they completed a course. It would  
21 be some kind of controlled distribution device. So  
22 it would be something that the pharmacy would take

1 back and would refill, refill a bottle.

2 I'm looking at you, but I'm not sure you're  
3 the right person to ask.

4 MS. WHALLEY BUONO: Those models exist. I  
5 think in the current U.S. regulatory  
6 infrastructure, those currently are only really  
7 possible under practice of pharmacy. CGMP and  
8 things like that, you simply can't package fresh  
9 drug, if you will, into an already opened  
10 container. But certainly under practice of  
11 pharmacy, pharmacists have a lot more latitude to  
12 do things like that.

13 They're outside the U.S. considerations,  
14 particularly in underserved regions around things  
15 like adherence boxes, if you will, where patients  
16 take them back to clinic and they're refilled with  
17 medication. But I don't see under the current  
18 regulatory standards here, in the U.S., how  
19 something like that would be appropriate as part of  
20 the FDA regulated manufacturer packaging process.

21 DR. SLATKO: Right. As a tool for getting  
22 feedback, for example visually, about whether the

1 rate of consumption was appropriate for the last  
2 course of therapy, bringing it back and visually  
3 inspecting it may not be sufficient as opposed to  
4 having some kind of ongoing tracking mechanism that  
5 reports the rate of consumption on an ongoing  
6 basis.

7 MS. WHALLEY BUONO: Yeah. I think the only  
8 way that could work is if you're talking about the  
9 secondary package type approach so that you  
10 could -- so the Gates Foundation is funding  
11 research in HIV and TB co-infection trials outside  
12 the U.S. where we've developed a box for them, if  
13 you will. And they take it back to clinic, and  
14 different drug blister cards are put into the box,  
15 and then the proxy event for adherence is the  
16 opening of the box.

17 DR. SLATKO: Right.

18 MS. WHALLEY BUONO: There are studies that  
19 show that the proxy event, opening the MEMSCap is  
20 equal in efficacy, if you will, in adjudicating  
21 adherence to let's say the SmartPill. So they've  
22 done head-to-head studies on that. So the opening

1 event typically is a pretty good proxy, but if  
2 you're talking about a box with different types of  
3 medication in it, you've kind of deluded when  
4 they're opening the box, what are they taking?

5 DR. SLATKO: Right.

6 MS. WHALLEY BUONO: And again, that's a  
7 device. That would be regulated as a device.

8 DR. CHAN: I think Mr. Webb had a follow-up  
9 comment.

10 MR. WEBB: Kevin Webb, Mallinckrodt  
11 Pharmaceuticals. I think the other question that  
12 needs to be on the table is -- it's kind of a  
13 chicken or egg scenario. The question is, is the  
14 FDA going to be looking to the manufacturers to  
15 come up and present some type of better  
16 configuration, or is the FDA going to come to the  
17 manufacturers and say here's what we want you to  
18 have in the labeling of what that blister or  
19 whatever that configuration can be?

20 If it's left to the manufacturers to say  
21 here's -- as many manufacturers as you have, all  
22 presenting something different, it's going to be a

1 chaotic process. And at that point, really, some  
2 are going to do it, some are not. But if there's  
3 going to be some labeling requirements to say  
4 here's what that new configuration needs to look  
5 at, in that way there's clear guidance to what it  
6 actually is the FDA wants for us to do, that's  
7 going to help streamline the process a whole lot  
8 more as opposed to just saying, okay, we think this  
9 is what we want.

10 Also, that's a question of what you were  
11 getting to earlier, the innovation of whose  
12 dollars. Is this something that we're going to  
13 work with someone like Liz's team in putting  
14 together some different concepts or is it that you  
15 have in your mind what we already want? So as we  
16 kind of meet in the middle, that would be very  
17 helpful.

18 DR. CHAN: The thing I would say is that I  
19 think we have to be careful in contemplating a  
20 question like this because I think what we don't  
21 want to do is stifle innovation that could occur.  
22 And that's certainly a consideration any time

1 you're talking about because we may have  
2 preliminary ideas collectively, whether that's in  
3 the agency or others, about what might work.

4 Data's going to drive a lot of this, which  
5 we're going to talk in a lot more detail about  
6 tomorrow. But I think in the interest of progress  
7 and continually being able to make a dent, I think  
8 we have to be open to the opportunity for  
9 innovation that may bring other features, other  
10 designs, into play that should be considered and  
11 should have a place in the discussion.

12 But I'm interested to hear others' thoughts  
13 on that because we've got one proposal on the table  
14 here, and I'd be interested to hear what others  
15 think about that. Dr. Scharman?

16 DR. SCHARMAN: Dr. Scharman, West Virginia  
17 Poison Center. Two comments on packaging. Looking  
18 at that group unintentional poisonings, you have to  
19 consider an amount to be toxic. If you've got a  
20 bottle of buprenorphine and you open that bottle,  
21 and it just takes one to be toxic, and you've  
22 packaged it in tablets of 15 to 30, that's not

1 really helping you.

2           So if you've got a product where it only  
3 takes one to be toxic in a child, then really a  
4 unit used packaging like blister pack is the only  
5 type of packaging that makes sense. So here again,  
6 if we're looking at the unintentional poisonings,  
7 we have to consider dose that's toxic to that  
8 population as part of that consideration.

9           The other thing, just as a reminder, one of  
10 the leading causes of decreased poisonings in  
11 children was the use of the Palm N' Turn  
12 child-resistant cap. Just as a reminder, that was  
13 not developed by an individual manufacturer. I  
14 think that was one of the pharmacy association  
15 committees that actually put out a clin test for  
16 package design. And people in the public and  
17 vendors got creative and submitted ideas to that  
18 project, and then one was selected, and that was  
19 patented. So different models other than having to  
20 charge one manufacturer dividing a product have  
21 been used successfully in the past.

22           DR. CHAN: Thank you. Dr. Mendelson?

1 DR. MENDELSON: Listening to this  
2 discussion, what approaches, the packaged and  
3 developed drugs, we physicians as packagers and  
4 developers don't do anything, so we're an easy  
5 target to work with. I think you have to think  
6 about -- I hear at least three groups that would  
7 benefit from being identified as either customers  
8 or users, and you have to analyze their user  
9 experience if you're going to be successful. Those  
10 are the manufacturers, obviously, but also the  
11 pharmacies and the patients.

12 The way you might get to the solution will  
13 be a contest, like actually put something out  
14 there. You just pointed out the little pop-top  
15 bottles. Someone invented those and did well with  
16 them by committee. But a contest or SBNR [ph] type  
17 process where you actually incentivize developers  
18 to actually consider the stakeholders and actually  
19 come up with products.

20 I think the user experience -- what I'm  
21 hearing a little bit here is this is a top-down  
22 process being envisioned here. What do we do to

1 control and not what we do to get people to  
2 actually use products in a way that actually works  
3 for them. So I would strongly encourage putting  
4 into the debate the user experience. Part of that  
5 user experience is patient, but part of it's also  
6 pharmacy shelf management. Part of it's  
7 manufacturers.

8 If you put out an RFA along those lines for  
9 technology, someone will respond who's relatively  
10 smart and will come up with something pretty  
11 interesting.

12 DR. SLATKO: Okay. I think we're going to  
13 move on to our second -- oh, you have one. Sorry  
14 about that. Mr. Berghahn?

15 MR. BERGHAHN: Walt Berghahn, Healthcare  
16 Compliance Packaging Council. What you're talking  
17 about is this marketplace, dozens and dozens of  
18 companies that put suggestions out there going back  
19 to 30, 40 years. I'm sure one of the things that  
20 this group will be evaluating is all of the  
21 technology that's out there on the marketplace.  
22 And it's a matter of defining what you want. You

1 can do almost anything. You can lock these things  
2 down dramatically with packaging and with  
3 near-fill [ph] communication. You have to know  
4 what you want.

5 DR. MENDELSON: Exactly. That's what's not  
6 defined, which is the user experience. That's the  
7 part that's missing.

8 DR. SLATKO: I think that does take us to  
9 our second question because I think there's an  
10 element of what are we trying to address and what  
11 are the behaviors that are associated with each of  
12 those problems that we're trying to deal with,  
13 which kind of drives what we're trying to  
14 accomplish with the design and the features of that  
15 design.

16 The question is, there are four target  
17 problems we talked about. What are the behaviors  
18 that we need to consider -- or say the most  
19 important behaviors we need to consider when we're  
20 designing packaging, storage, and disposal options?  
21 And given the behaviors, are there existing design  
22 features that might effectively address those

1 behaviors?

2           So let's take this in order starting with  
3 the accidental exposure issue. When Dr. Chan  
4 presented, she talked about accidentally leaving  
5 the bottle open, not closing it, transferring from  
6 the secure bottle to a different bottle as examples  
7 of behaviors. And there were some design features  
8 she mentioned.

9           Are there other behaviors and features that  
10 we should be thinking about -- I'm throwing those  
11 out there for discussion; other behaviors and  
12 features we should think about to include in the  
13 design of those solutions.

14           You had mentioned a single  
15 dose -- Dr. Scharman, you had mentioned making sure  
16 that if it had been a pediatric exposure, that  
17 there wouldn't be access to a single dose, to have  
18 that level of protection. I think that's an  
19 interesting idea because that's a very unique  
20 situation where one dose exposure might be lethal,  
21 for example.

22           Dr. Berghahn?

1 DR. BERGHAWN: Walt Berghahn. To continue,  
2 basically, if you go back and you look at the  
3 Poison Prevention Packaging Act and you look at the  
4 criteria that are there on blister packaging, it  
5 puts the onus on the manufacturer to define the  
6 toxicity in the product. What is going to cause  
7 harm to 25-pound [indiscernible].

8 So as the manufacturer, you make a decision  
9 what access is appropriate. Well, one dose is too  
10 much or 5 doses is too much. Your package style,  
11 you're waiting to get, and testing will be  
12 determined by what you envision the toxicity. But  
13 the problem is that that very criteria in the Act  
14 prevented companies from going [indiscernible]  
15 because they don't want to define the toxicity of  
16 your own product.

17 Who wants to say that? What is the toxic  
18 pill for a young child? So what the industry has  
19 done, as a de facto base, he said F1, absolutely  
20 block it down, F1. And in response to that,  
21 [indiscernible] there are tons of packages that are  
22 out there, and F1. But when you look at

1 data -- for instance [indiscernible], and there was  
2 a very specific product, all that happened is it  
3 went into a blister. It wasn't an F1 blister, but  
4 it still saw the poisons [indiscernible] and drop  
5 off.

6 Sometimes I wonder if PPPA is taking it a  
7 step too far because you put the onus on the  
8 manufacturer to determine the toxicity of their own  
9 products.

10 DR. CHAN: Dr. Green?

11 DR. SLATKO: Dr. Green?

12 DR. GREEN: That's a fantastic point, and as  
13 we were listening to the opening comments, 1970 was  
14 before I was born, and I'm not that young. So I'm  
15 not quite sure -- is it a recommendation that that  
16 act be revisited with today's environment, with  
17 today's technology and today's challenges, new  
18 challenges, for some of these products so that  
19 there's a more systematic evaluation or global  
20 evaluation of what is really the best intentions  
21 for these pediatric exposures?

22 DR. CHAN: Yes, Ms. Morgan?

1 MS. MORGAN: Hi. Sharon Morgan. American  
2 Nurses Association. With this discussion and  
3 certainly with the discussion previously, we are  
4 all here discussing a very complex situation with  
5 limited resources both as agencies and then in  
6 execution of anything that might be coming down the  
7 pike.

8 So really, where is our best bang for buck?  
9 What is the biggest problem that is going to give  
10 us the best results in addressing? Is it the  
11 poisoning aspect, the accidental poisoning? Is it  
12 minimizing the number of medications that are out  
13 there and education processes and packaging that  
14 goes along with that? So where really is our best  
15 bang for buck?

16 Then where is the biggest target audience?  
17 Is it the acute prescription area or the chronic  
18 prescription area? Do we then define packaging  
19 based on those two different areas or other  
20 subgroups of that? And then how can the packaging  
21 align with education, and labeling, and the use of  
22 social media to reinforce messaging about

1 appropriate use? Then who's going to be  
2 responsible for the reinforcement of what is being  
3 done; how it's being given the product, and is  
4 there appropriate use.

5 As I'm listening to everything that's going  
6 on, I really hope that we understand that we're not  
7 going to be able to address everything and that it  
8 might help to really kind of focus on where we  
9 think the best bang for the buck will be. Thank  
10 you.

11 DR. CHAN: So I guess my question to you  
12 then would be where do you think we get the most  
13 bang for our buck. And if we start there, then  
14 coming back to the focus of the discussion, what  
15 will be the behaviors we're trying to address here?

16 MS. MORGAN: I see, from a strictly  
17 prescribing -- we're talking about of all the  
18 complexity of the opioid issue, let's take a look  
19 at prescribing and how prescribing is being done.  
20 Where is the biggest areas for misuse and diversion  
21 and maybe targeting those areas. And do we need to  
22 redefine packaging for those areas or are there

1 other aspects to try to minimize the amount of  
2 medicines that are out there that have been  
3 prescribed that are now being diverted or misused.

4 DR. SLATKO: So there's a limiting supply  
5 aspect to what you're saying, I think, and then  
6 there is a disposal aspect, kind of reduce supply,  
7 limit and recover what's out there, to reduce the  
8 overall exposure to the population. Is that what  
9 I'm hearing?

10 MS. MORGAN: Certainly, but I would need to  
11 actually explore the evidence, is that where our  
12 biggest problem that is most likely to be best  
13 tackled? Is that it right there, and maybe it's  
14 not, or it's a subset of that discussion.

15 DR. CHAN: So maybe we can -- sorry.

16 DR. SLATKO: Did you want to comment?

17 DR. CHAN: Yes, Dr. --

18 DR. SLATKO: Dr. Budnitz?

19 DR. CHAN: Dr. Budnitz, yes.

20 DR. BUDNITZ: Dan Budnitz from CDC. Just to  
21 try to address this question about what are the  
22 behaviors and a way to target accidental and

1 supervised ingestions. I think there's basically  
2 two fundamental issues. One is that we're  
3 imperfect beings. We forget to put on the caps.  
4 We forget to put medicines up and away and out of  
5 sight. And the fact that by the PPPA, these are  
6 not childproof, these are child resistant. We  
7 don't watch our kids, so given 60 minutes, these  
8 caps can be defeated by many kids.

9           So when is this imperfect behavior issues?  
10 So then you try to prevent with packaging the need  
11 to do something. And that's an automatic  
12 protection.

13           The second issue is that we do things  
14 intentionally to transfer medications out of their  
15 packaging, when we want to travel, when we want to  
16 take it later. So then the issue is to make it  
17 unnecessary or inconvenient to get the medicines  
18 out of that [inaudible - feedback] that's been  
19 factored in. Ideas might be something like, if it  
20 is a blister pack, having perforated units so that  
21 they can be transferred -- that folks will  
22 intentionally take out individual opioids, take the

1 pill itself out of the package because their  
2 physicians are telling them to do that. Why?  
3 Because they can go travel or you can go somewhere  
4 and you leave the entire bottle, you're not getting  
5 anymore. So they'll be advised to take one and put  
6 them in a small, non-child-resistant container.

7 I think the point for what would be a way to  
8 address the behavior is make it so that each  
9 individual unit can be transported into our system.

10 DR. CHAN: Thank you. Ms. Whalley-Buono?  
11 Oh, we already addressed. Okay, great. Dr.  
12 Ciccarone?

13 DR. CICCARONE: Dan Ciccarone, UCSF. You  
14 suggest that your [inaudible - feedback], well what  
15 is the biggest bang for the buck? I think it's  
16 reducing supply, [inaudible - feedback]. There's  
17 just simply too many pills out there. So as  
18 Director Gottlieb mentioned, we're slowing down the  
19 doctor, and that would be an EMR thing because it  
20 reduced numbers of pills going out for  
21 prescription; so the blister pack like the Z-Pak  
22 idea with 3 to 5 to 7 days worth. Then we've got

1 to have some better disposal options. We have to  
2 find ways to incentivize bringing the pills back  
3 from the consumer.

4 DR. SLATKO: Ms. Cowan?

5 MS. COWAN: Penney Cowan, American Chronic  
6 Pain Association. I agree that we need to reduce  
7 the supply, but I think there are two different  
8 groups of people that take opioids, [inaudible -  
9 feedback] for they only need a little bit. So then  
10 you're looking at people who are on long-term  
11 opioid therapy but need these around the clock, 24.  
12 They've been taking it for years and years and  
13 years, and to reduce their supply to, say, 7 a day,  
14 the problem with that is access to care to get them  
15 to their -- they're elderly. There are so many  
16 issues.

17 So I think that we have to be very careful  
18 when we look at this, the acute, short-term use and  
19 then the long-term use. I think those are two  
20 different populations, and we need to consider  
21 that. We've heard from so many people who have  
22 been reduced to 7 days, and they've been actually

1 fired by their providers. So they're not able to  
2 do that, and so they're losing their jobs. It's  
3 just a snowball effect.

4 I think you have to look at the human factor  
5 of these decisions on people's lives and the access  
6 to care.

7 DR. CHAN: We'll take two more comments  
8 before we switch questions. Mr. Webb?

9 MR. WEBB: Thank you. Kevin Webb,  
10 Mallinckrodt Pharmaceuticals. I think also we just  
11 follow where prescriptions are coming from, to the  
12 extent that 89 percent of prescriptions come  
13 through the retail pharmacy, and then roughly  
14 94 percent of prescriptions are for immediate-  
15 release opioids at a national level. So just by  
16 addressing where they're coming from or the  
17 immediate release for acute pain would give us an  
18 important place to start, and we can start to move  
19 the needle just addressing those two factors.

20 DR. CHAN: Thank you.

21 DR. SLATKO: Dr. Emmendorfer?

22 DR. EMMENDORFER: I would just like to echo

1 what Dan just said. I think the two main areas are  
2 supply and then disposal. We have the data within  
3 VA, and it's measured quarterly. The number of  
4 veterans on long-term opioids is defined as greater  
5 than or equal to 90 days of therapy in the  
6 reporting quarter or the previous quarter.

7 When we started our opioid safety initiative  
8 back in 2012, we were just over 438,000 veterans  
9 that are long-term opioid therapy, and now we have  
10 that down to 257,000 patients. And it's about  
11 appropriate pain management, so it's not the cutoff  
12 of the opioid, but it's about how do you  
13 appropriately manage that pain, whether it be  
14 increase in complementary and integrative therapies  
15 or whatnot. So I think that's one component.

16 Then I do agree with the disposal.  
17 Incentivizing the patients to return them and to  
18 dispose of them properly is a whole separate issue,  
19 but I do think those are the two big bang areas.

20 DR. CHAN: As a follow-on then, along the  
21 same vein, we touched a little bit on existing  
22 technologies. We talked about unit dose, which is

1 clearly something we're all very familiar, already  
2 exists. There are certainly hospital unit-dose  
3 blisters that are around.

4           So where do we still need the innovation in  
5 design or can we primarily rest our laurels on  
6 what's out there and say start there? I'd like to  
7 turn that over to the panel to think about, where  
8 is it that we haven't exactly hit the nail on the  
9 head with either design feature or some sort of  
10 option and technology that exist that we really  
11 need in this space? Yes?

12           MS. WHALLEY BUONO: I'll just start by  
13 saying, to Walt's point, there's a lot out there  
14 right now, and some of it's actually been pretty  
15 richly studied on issues like adherence and  
16 persistence, and some health outcome work. It  
17 hasn't been studied in the context of the opioid  
18 issues, and I don't think we have time actually. I  
19 would argue we don't really have time to really  
20 thoroughly study it for the opioid-specific issues.

21           We've taken 10 years and over a half million  
22 dollars to evaluate the platform of calendar

1 blister packaging on adherence and persistence in  
2 chronic, long-term medications like statins and  
3 things, so I don't think we have 10 years to do  
4 that for the opioid epidemic.

5 I think it's almost impossible to answer  
6 your question about have we innovated enough  
7 because I don't think we really understand what we  
8 currently have and how it's going to impact things  
9 like diversion. At the last meeting, we talked  
10 about perhaps something like blister pack will make  
11 it readily obvious to someone if someone has stolen  
12 pills out of the pack, which I didn't even really  
13 think about, but maybe it will. But we don't know  
14 until we've studied that.

15 So the question is, how much data is  
16 sufficient to understand whether what we currently  
17 have is going to work on these opioid-specific  
18 issues, and how do we get that information and not  
19 wait 10 years before we gather it along the formal  
20 traditional evaluation lines?

21 DR. CHAN: I think Dr. Green had a comment  
22 as well.

1 DR. GREEN: At the beginning -- well,  
2 actually from the June meeting, I did find it  
3 helpful to look at this in terms of the target  
4 population, the pediatrics, the adolescents, and  
5 the adults, and I think that might be some of the  
6 challenges with each of these questions, is that  
7 we're not posing them in terms of the target  
8 population and actually identifying what metric,  
9 what measure would tell us if we're successful or  
10 not.

11 If we went back to that table, I think this  
12 becomes a little bit more clearer discussion  
13 because while I completely agree with supply and  
14 the comments mentioned, any pill in the home is  
15 still a threat or a potential exposure to a child.  
16 It doesn't matter what the number is, the number of  
17 homes.

18 So there still has to be that packaging  
19 component, which to several comments, we already  
20 have data about. This isn't a novel issue with  
21 pediatric exposures; obviously, the Poison  
22 Prevention Act of 1970. And if it wasn't still an

1 issue, there would be no poison centers left in the  
2 country, but there are 50 of them. But we have  
3 good information about what types of interventions  
4 work for kids and how do we then apply them to the  
5 opioid issue.

6 Just speaking with Richard here, there are  
7 some opportunities with Monitoring the Future to  
8 actually ask adolescents what is a deterrent, what  
9 potentially could be a deterrent in that  
10 population. So let's talk specifically about what  
11 can we do with that population.

12 Then with the adult issues, those are even  
13 different, talking to providers and even  
14 potentially looking at poison data. There's a  
15 wealth of data about the misuse, unintentional or  
16 intentional misuse, of these opioids in adults that  
17 are reported to poison centers every year, so  
18 there's data there, too.

19 So I think that that there's a lot that we  
20 know. We should be sharing the actual data. I  
21 feel like we're just asking a whole lot of  
22 questions, but if we start narrowing our target

1 population, we might get a little further with each  
2 of these questions with those three target  
3 populations.

4 DR. CHAN: Thank you. Dr. Bosworth?

5 DR. BOSWORTH: I never follow directions,  
6 but I guess one question I would have is could you  
7 anticipate what you would want as an outcome or how  
8 you would determine whatever we discuss here is  
9 successful. I think about when we introduce  
10 quality improvement measures, everyone starts  
11 skating to that puck, and then there's innovation  
12 that occurs within the system.

13 So I think there's a lot out there. How you  
14 select what the right situation is for which group,  
15 I don't know. But I think when we see that we put  
16 indicators, and there's potentially consequences,  
17 whatever those may be, whether they're financial to  
18 the health plan, I don't know. But I do see that  
19 it changes pretty dramatically.

20 When CMS puts something in regarding our  
21 readmission for heart failure, oh my God, that was  
22 just like hands on deck and continues to be,

1 despite the fact that the secondary readmission has  
2 nothing to do with the primary. But nevertheless,  
3 it just made people at least start focusing on  
4 something.

5 I'm not proposing just throw something out  
6 there, but I do think that if you can envision what  
7 you want as an indicator, and you put that out or  
8 recommend that, I do think you'll see a lot more  
9 innovation that occurs and perhaps more data to  
10 separate the wheat from the chaff, if you will.

11 DR. SLATKO: Just following up on that,  
12 you're suggesting as a parallel activity even going  
13 to like NCQA to develop HEDIS measures for health  
14 plans, talking with CMS about establishing quality  
15 measures that would measure other groups' behaviors  
16 and performance, and that would drive down to those  
17 organizations, really the organizations. I think  
18 that's really interesting.

19 DR. BOSWORTH: You're much more eloquent  
20 than I am.

21 DR. SLATKO: No, but your idea --

22 DR. BOSWORTH: And I like the idea of

1 parallel, not to say that that's the solution, but  
2 I do think -- and we've seen now with these HEDIS  
3 measures what happens, and there is a big change,  
4 at least on the population level, changing and  
5 starting to focus in, trying to think of bundle  
6 payments. I know those are -- but the idea is just  
7 really trying to put together the right team and  
8 right effort. Having the people on the ground in  
9 those systems to try to figure that out gets a big  
10 win.

11 DR. SLATKO: The point here is that there  
12 are incentives that are tied to these performance  
13 measures. One of the things that everybody's been  
14 talking about, we talk about incentives of  
15 returning medicine, but where are the prescriber  
16 incentives? And this would be an incentive to win  
17 points, if you will, for compliance with these  
18 measures.

19 DR. BOSWORTH: Right. I think it doesn't  
20 even have to have a financial incentive; it could  
21 be public reporting. However, unintended  
22 consequences, we've seen this with surgery. When

1 we report quality indicators, all of a sudden we  
2 have cherrypicking things. So I think it's  
3 important, but if you do do it, do it right and  
4 evaluate what those indicators are. But I do  
5 think, in general, the process will change some  
6 things moving forward much quicker.

7 DR. CHAN: I think in the interest of time,  
8 we're going to advance. This question, I think  
9 we're going to actually, in the interest of time,  
10 move on to question 3 because those have been  
11 variants of question 2.

12 What might be the value in designing options  
13 that are intended to address more than one target  
14 problem? Here we're talking about combining  
15 features potentially. We've sort of been talking  
16 about each of these problems in isolation, but in  
17 reality, some of the features that have been tossed  
18 around the room could frankly work in more than one  
19 space.

20 I think what we'd like to understand is if  
21 there are some obvious values, probably  
22 inefficiencies and so on and so forth, we'd like to

1 better understand the value, but also what would be  
2 the pitfalls in terms of doing that type of an  
3 approach? Mr. Webb?

4 MR. WEBB: Kevin Webb, Mallinckrodt  
5 Pharmaceuticals. There are several pitfalls that  
6 would be associated with making something too  
7 complicated, and just by nature it's making  
8 something complicate. Sometimes they don't work.  
9 You're going to have returns. You're going to have  
10 supply or you're going to have space demands within  
11 the retail pharmacy.

12 We as a manufacturer have to think through  
13 every moving part within that supply chain and  
14 anticipate where things are going to fall apart.  
15 If you now have a supply constraint within the  
16 retail pharmacy, now you start getting into access  
17 problems. As we talked about, legitimate patients  
18 who need access to these medications, and the  
19 pharmacy can only store 5 of these new gizmos and  
20 their patient demand is 10, what do you say to the  
21 second 5 patients who are coming in later that  
22 afternoon for their script?

1           So I think we should always start with who  
2           is the patient -- let's not lose sight of the  
3           patient. I know obviously you're not, but as we  
4           think about how can this best serve the patient  
5           interest, we would want to take those types of  
6           things into consideration.

7           You have lack of standardization potentially  
8           as you have more complicated features. You have  
9           increased complexity. For example, if you have an  
10          RFID, you have to have someone now monitoring that,  
11          so now you're adding more complexity to the system  
12          and more cost to the system. And then you have the  
13          whole aspect of patients don't accept it, so you  
14          then have -- they will create a way to get around  
15          the new device that we put into place. So it gets  
16          back to the Dixie cup, and now we've just  
17          complicated the problem even more.

18          If we try to make it too smart, someone's  
19          going to figure out a way to undo the packaging  
20          that we just spent millions of dollars and years in  
21          development to create that solution.

22                 DR. CHAN: Dr. Budnitz?

1 DR. BUDNITZ: Dan Budnitz, CDC. There are  
2 obvious values to address more than one problem  
3 [indiscernible], and there's, well, we did one  
4 thing and we have three different positive effects.  
5 The pitfall of course is one design option is not  
6 going to be perfect for all three types of problems  
7 you're trying to address -- third-party access.

8 To make this concrete, for example, an ideal  
9 option to prevent maybe misuse by a teen or  
10 adolescent would be make it obvious how many pills  
11 are in the pack and easy to detect. That might be  
12 the opposite of what you want for child ingestions.  
13 You don't want a packet that's clear and you can  
14 see all the different pills very easily. You might  
15 want it to be opaque and very hard to see, and of  
16 course that makes it harder to see access.

17 The only point is I do like the idea that  
18 Jody reminded us to highlight the problem we're  
19 trying to address, then take a solution, even if  
20 it's one solution, to recognize it may not be ideal  
21 for all, but it might be best for the overall  
22 effort.

1 DR. CHAN: The interesting issue that's been  
2 raised is this idea of we have to remember the user  
3 needs. We have to think about who we're designing  
4 for. But in some of these problems we're laying  
5 out, we're really not necessarily designing for the  
6 patient as the target, are we? We're thinking  
7 about -- for example, the third-party access, we're  
8 creating something that in fact is designed to  
9 prevent someone else, in the household for example,  
10 from getting in.

11 So that adds a nuance to this consideration  
12 because, yes, we absolutely want the patient to be  
13 able to use their medication, but what we're trying  
14 to achieve is an outcome that we would be measuring  
15 in someone other than the patient, which is quite a  
16 different way to look at this.

17 So I'd like to get some thoughts around  
18 that. Ms. Cowan?

19 MS. COWAN: Penney Cowan, American Chronic  
20 Pain Association. I think no matter what you  
21 develop, without the education across the board of  
22 all consumers -- you can develop a lot of things,

1 and as we've heard, there are ways to get around  
2 them. If we can educate people about the safe use  
3 of these but also the dangers if you're misusing  
4 them -- and I'm talking about getting education  
5 into the schools around these medications.

6 I don't know that that's in public schools  
7 right now, to educate consumers when they get them,  
8 to go into senior centers and start talking to  
9 older adults, community centers. I think education  
10 has to be part of all of this no matter what you  
11 develop, and it has to be across the board.

12 We have a public service announcement that  
13 we actually put in movie theaters on storage and  
14 disposal and not sharing. We actually did surveys  
15 and got 80 percent recall from people just watching  
16 that 30-second video. It's on our website. But  
17 it's that kind of go out to the whole public, not  
18 just to the people who are using.

19 DR. CHAN: If I could just follow up then.  
20 Are you saying that without that education  
21 component as to why a patient who I think  
22 previously you indicated may not perceive

1 themselves as being part of the problem or needing  
2 to be a solution for the problem, that without that  
3 education piece, you're going to have more  
4 incidents of these intentional workarounds being  
5 part of a new issue, so to speak.

6 MS. COWAN: Both that, but also around  
7 misuse by people who it's not intended for. I just  
8 think we need education across the board. Also,  
9 there's more to pain management than just taking a  
10 medication. So we really need to look at the whole  
11 balance approach and offer all the other  
12 alternatives of pain management, but that's another  
13 meeting.

14 DR. CHAN: Yes, Dr. Budnitz?

15 DR. BUDNITZ: Dan Budnitz, CDC. I'll just  
16 add one comment, one, to try to reduce harm for  
17 someone for whom the drug is not prescribed. But  
18 one twist on that is, of course, if the drug is  
19 taken by someone else, it's not being used by the  
20 patient to whom it is prescribed. So that might be  
21 another variation on ensuring the drug makes it to  
22 the person to whom it's prescribed.

1 DR. SLATKO: Just one more slight twist on  
2 this. For tomorrow, when we're talking about doing  
3 studies and studying not only the target patient  
4 but the people around the patient, how do you  
5 collect this kind of data to inform the design of  
6 trials around this is what we'll be talking about  
7 tomorrow.

8 DR. CHAN: Yes, Ms. Whalley Buono?

9 MS. WHALLEY BUONO: Liz Whalley Buono. To  
10 kind to try and answer that question, I mentioned  
11 before the expense and the time on some of the  
12 research conducted. But I do think -- depending  
13 upon what FDA would find a satisfactory amount of  
14 evidence, I think you could relatively, easily,  
15 inexpensively, and quickly evaluate through things  
16 like consumer panels as to whether individuals  
17 would find value in some of the packaging concepts  
18 for things like, A, to make sure you got your full  
19 script when you left the pharmacy; B, if you  
20 bracket age groups, would this package make you  
21 less likely to take a pill out of your mother's  
22 cabinet?

1           So I think you could do intercept work, and  
2 I think you could do panel work relatively quickly.  
3 Now, the scientific rigor around that evidence is  
4 going to be a lower bar, but it begins to sort of  
5 answer the question about whether these concepts  
6 have multiple layers of value in some of these  
7 issues.

8           DR. CHAN: Dr. Miech?

9           DR. MIECH: I just wanted to follow up on  
10 your earlier point that we're focusing on people  
11 for whom the drugs are not prescribed. Just to  
12 throw a wrench in there even further, we're hoping  
13 to focus on things that never happen. Right?  
14 We're hoping that the adolescents never actually  
15 take the drugs, so that's going to be pretty  
16 challenging.

17           I guess we'll get into this more tomorrow.  
18 Sorry if I'm being premature, but we would want to  
19 look at population levels of misuse of opioids by  
20 adolescents, and kids, and adults. But I just want  
21 to throw in there that we're looking at something  
22 that hopefully never happens, so that's going to be

1 a challenge.

2 DR. SLATKO: Our next question is  
3 about -- and we talked a little bit about  
4 this -- unintended consequences of certain design  
5 options. John mentioned this earlier, whether  
6 using unit-of-use packaging that identifies the  
7 content might make it more attractive for  
8 non-treatment abuse and increase its street value,  
9 for example.

10 But are there other untended consequences we  
11 need to think about and designers need to think  
12 about and anticipate as they contemplate designing  
13 these options? Dr. Patel?

14 DR. RAO-PATEL: This is Anu Rao-Patel from  
15 Blue Cross. Speaking as somebody who is in  
16 practice treating these patients, I would say one  
17 unanticipated consequence would be for a patient  
18 who has chronic pain and is taking these opioids  
19 for legitimate reasons -- two things, one making  
20 the packaging so difficult to access that they  
21 can't actually access their medications and also  
22 putting so many warnings, and labels, and all kinds

1 of things all over them that it almost stigmatizes  
2 the patient to take the medication.

3           There are a lot of patients I've seen in  
4 practice where in the practice I was in, we did  
5 both chronic pain management and addiction. I  
6 don't know if that was necessarily a good thing  
7 because a lot of the patients who have chronic  
8 pain, who have legitimate chronic pain, felt very  
9 uncomfortable in our waiting rooms, sitting with  
10 people who were there for addiction purposes or  
11 people who were there who were trying to access  
12 opioids for diversion or inappropriate misuse.

13           So I would say, especially with some of the  
14 patients who are older, who may not have as much  
15 social or family support, who live by themselves,  
16 and they have to manage their medications  
17 themselves, you wouldn't want to make it so  
18 difficult that they can't even actually take the  
19 medications that they need. That would be one  
20 thing I would be a little cautionary about.

21           DR. CHAN: Thank you. Dr. Scharman?

22           DR. SCHARMAN: The statement's been made

1 that it might make the street value more, but  
2 there's another side to that. If people are going  
3 to divert, they're going to divert. We see  
4 poisonings occur because they thought bought X, and  
5 they bought Y, so they got a dose that wasn't a  
6 dose they were used to, or they got a completely  
7 different drug, or hypoglycemic and their blood  
8 sugar dropped, or on haloperidol, and now we have  
9 dystonic reaction showing up, charging cares and  
10 ERs all over the city.

11 So in some ways, it's protecting that  
12 population. The needle exchange programs to help  
13 people that are going to inject drugs, in some  
14 ways, we're also helping to prevent unintended  
15 poisonings in people that are buying a substance.  
16 And right now, people are selling what looks like  
17 oxycodone or Xanax on the street, and it's really  
18 fentanyl. So in packaging, we might deter that  
19 market for people making fentanyl and heroin look  
20 like regular prescription drugs.

21 DR. SLATKO: Mr. Berghahn?

22 MR. BERGHAWN: Walt Berghahn. The next step

1 of that statement is the fact if you start putting  
2 these things into unit of use, coming in the back  
3 door of the pharmacy and going out the front door  
4 of the pharmacy, there is a law that's being rolled  
5 right now, the Drug Supply Chain Security Act.  
6 That is fully and active, and blisters will be  
7 serialized. They'll have a serialized bar code on  
8 them, and when they hit the street, you're going to  
9 know exactly where they came from, who dispensed  
10 it, and who it was prescribed to.

11 DR. SLATKO: Are you saying that as a good  
12 thing?

13 (Laughter.)

14 MR. BERGHAWN: I guess it depends on your  
15 chair [inaudible]. I think it's a good thing.

16 DR. CHAN: Dr. Cox?

17 DR. COX: I was thinking earlier when  
18 someone brought up the RFID stuff, that there's  
19 this consequence of things that happen when someone  
20 in the family realizes that someone in their family  
21 is surreptitiously taking their medication. So  
22 some of the unintended consequences may be family

1       strife, not knowing how to deal with this, and even  
2       interpersonal violence that we may want to think  
3       about, educating people or about where to go or  
4       what to do about that.

5                 DR. CHAN:   Dr. Bateman?

6                 DR. BATEMAN:  I wanted to comment on the  
7       idea of blister packs that are tied to particular  
8       pain indications.  I think it's going to be a great  
9       challenge to sort out how much medication should be  
10      included.  Pain is a really heterogeneous  
11      condition.  Not all back pain's created the same;  
12      not all joint pain.  Ideally, opioid prescribing  
13      would be really individualized to the indication  
14      but also to what you anticipate that the patient  
15      would need.

16                If a patient underwent a surgical procedure  
17      and is doing really well in the hospital on NSAIDs  
18      and Tylenol, you wouldn't want to discharge them  
19      with the standard amount for a cholecystectomy that  
20      might be greatly in excess of what they need.  
21      Conversely, if a patient has a high need for  
22      opioids during their inpatient hospitalization, you

1       might undertreat them if you dispense them a  
2       limited amount that's suggested as the amount  
3       needed for a particular surgery. So there's going  
4       to be a lot of thinking that needs to go into what  
5       that amount should be.

6               DR. CHAN: Thank you. As a follow-up to  
7       that, though -- you're absolutely right. There is  
8       a challenge. How much is the right amount for any  
9       given indication? In the absence perhaps of some  
10       of that data -- we've talked a little bit  
11       earlier -- there was discussion around consensus  
12       guidelines that might drive some of these things.

13               But if you put the packaging out there as a  
14       hypothetical -- so pick a number, it's a 7-day  
15       supply of whatever X, or a 3-day supply of wherever  
16       you want to go. If you put it out there, my  
17       question is, would prescribers perhaps be willing  
18       to uptake that just because it's a convenient  
19       option potentially?

20               So without necessarily needing to say for  
21       this indication, we should agree on X number of  
22       tablets being the standard, would by merely having

1 the option already generate that use of it because  
2 it's a convenient option? I'd like to throw that  
3 out as a follow-up.

4 DR. BATEMAN: So you're asking would  
5 providers be inclined to prescribe if there was  
6 package for acute cholecystectomy. I think it  
7 would be attractive, but again, I think you really  
8 run the risk of underprescribing for some patients  
9 and overprescribing if you dictate a set amount for  
10 a particular indication.

11 DR. SLATKO: Dr. Mendelson?

12 DR. MENDELSON: Again, I think this is an  
13 area where scheduling can make a really huge  
14 difference, so that you can get a lower regulatory  
15 burden for the physician to prescribe would be  
16 useful. Scheduling is complicated because it  
17 usually doesn't do anything to deter anything from  
18 anyone. It's sort of a seal of approval that you  
19 have a better drug. Like if you're Schedule 2,  
20 it's got to be better than Schedule 3 for abuse.  
21 In this case, I think it could help physicians come  
22 with more responsible prescribing practices.

1           On the note of blister pack forgeries,  
2 absolutely people are going to figure that out. If  
3 they already make a pill that looks like a Vicodin  
4 and it's got fentanyl in it, they're going to  
5 figure out how to put it in a blister pack. I  
6 think the diversion of the drug into the approved  
7 format will happen. There are a lot of clever of  
8 people out there with irons and glue guns.

9           But anyway, you really want to incentivize  
10 it for doctors that you've got to find a way to  
11 make it so they can prescribe it much more easily  
12 and in the right amounts. It could be small  
13 amounts. They could be refilled frequently. It  
14 can be daily. I really don't have a problem with  
15 daily refills. I think that's really not a bad  
16 concept. And people deliver now, so I think that's  
17 really not a bad way to go. You get two days, and  
18 you've got bring your packaging back or send it in  
19 a picture.

20           DR. BATEMAN: Along those lines, I  
21 think -- I'll make a comment. E-prescribing is  
22 something that might be quite useful in that

1 regard. If you knew that you could give that  
2 patient a refill without them having to return to  
3 the hospital, that would go a huge way and enabling  
4 physicians to write for less.

5 DR. MENDELSON: I don't do anything but  
6 E-prescribing, and I'm sure the other internists  
7 around the room -- do you guys even write  
8 prescriptions anymore?

9 DR. BATEMAN: Well, I don't just  
10 mean -- sorry. I was going to say, I don't mean  
11 just writing the prescription using an electronic  
12 medical record, but if you could write for a  
13 Schedule 2 medication without having the patient  
14 come back to physically receive the prescription,  
15 that would be --

16 DR. MENDELSON: You can do that.

17 DR. BATEMAN: I don't think those systems  
18 are widely in use around Schedule 2 medication.

19 DR. CHAN: So we've got a lot of interest in  
20 this. Let's go in order here. Dr. Cox?

21 DR. COX: I'm really intrigued by the  
22 shortening of the supplies how we might do that. I

1 wonder if there really is data out there about what  
2 is needed for a particular procedure and how we  
3 would get there.

4 Another thing it made me think of is the  
5 antibiotic overuse crisis in pediatrics that took  
6 place about 20 years ago. One of the things was  
7 having a contingency prescription available for  
8 people, so we talked about the issue with  
9 scheduling and being able to get a prescription;  
10 could you get a prescription that said if at the  
11 end of these 3 days you need 2 more doses or 4 more  
12 doses to get you through the weekend, you don't  
13 need to contact anybody about those.

14 So just thinking through the policies and  
15 ways that we might make this actually work seems  
16 really doable.

17 DR. CHAN: Dr. Rao-Patel?

18 DR. RAO-PATEL: Just a few comments about  
19 that. I'll say in North Carolina there's been some  
20 legislation passed and signed to law by our  
21 governor where it limits initial fills for acute  
22 pain for 5 days and post-op pain for 7 days. I

1 think there's a way to do that.

2 I will also say that I think it's an  
3 opportunity because, again, like my colleague  
4 mentioned, it's very difficult to quantify pain and  
5 say who would require more than somebody else and  
6 who has what pain tolerance, and what's appropriate  
7 for them post-op. What's appropriate for you  
8 post-op may not be appropriate for me and vice  
9 versa, either because I'm having more pain or I  
10 don't need an opioid at all.

11 So I think it's an opportunity not really  
12 where we could say for sure that if you have a  
13 total knee replacement that's elective, then you  
14 only get these many pills, but I do think it's an  
15 opportunity for specialty societies to get  
16 together. American Academy of Orthopedics, I'm a  
17 physiatrist, so I know that we're already having  
18 discussions about this -- the American Academy of  
19 Physical Medicine and Rehab -- about what is  
20 appropriate prescribing after certain procedures or  
21 certain indications for pain, low-back pain, knee  
22 pain, CRPS, whatever.

1 I'm not a dentist, but I can say that we can  
2 all recognize that a 30-day supply for oxycodone  
3 after a tooth extraction or a wisdom tooth is  
4 inappropriate. It may not be my position as  
5 non-dental person to say that, but I do think it's  
6 an opportunity for people within that specialty and  
7 within their own accrediting organizations to have  
8 these kind of conversations as well.

9 DR. CHAN: Thank you. Dr. Patel?

10 DR. PATEL: I do believe if there's a type  
11 of opioid prescription, that it would be maybe  
12 easier for a physician who's already potentially  
13 too lazy to look at databases to prescribe opioid  
14 Z-Pak for patients and maybe use, above and beyond,  
15 an NSAID prescription because it's easier to write  
16 for. As we all know, Z-Pak is already overly  
17 prescribed already because it's easy to write, easy  
18 to use.

19 DR. SLATKO: Mr. Webb?

20 MR. WEBB: Kevin Webb, Mallinckrodt  
21 Pharmaceuticals. We obviously support the fact  
22 that management of pain is best managed through the

1 physician and the patient. However, from our  
2 perspective, what we would see is that as you think  
3 about the patient and you limit that quantity to a  
4 certain number of days, when that patient needs  
5 more medication, that patient now has to incur  
6 another co-pay and go back to the pharmacist to get  
7 another prescription filled. Obviously, it's an  
8 inconvenience factor if it's a rural patient and  
9 just complicates the process of trying to be  
10 sensitive to the patient and the patient's pain.

11 The AMA has legislation out there that I  
12 think is a good model, that allows the physician to  
13 write X number. But in the event that the patient  
14 needs more medication, they can get the rest of  
15 their prescription filled for that month without  
16 having to incur another co-pay. So it mitigates  
17 some of the issues of financial cost burden that  
18 the patient may have to incur, but then allows the  
19 physician to be the one who determines what is the  
20 appropriate amount of pain management that that  
21 patient needs.

22 DR. CHAN: A partial fill type of approach

1 is what we're talking about. I think we are  
2 getting close to time here. We were supposed to  
3 move into our audience participation session.  
4 Before we move, any final comments before we close  
5 this out?

6 (No response.)

7 **Audience Participation**

8 DR. CHAN: So we're going to go ahead and  
9 move into the audience participation session.

10 DR. SLATKO: Anyone in the audience who  
11 would like to make a public comment, please step up  
12 to the microphone. As you'll recall from the last  
13 session, you will be given 3 minutes to provide  
14 comments. There is a light to keep the time, and  
15 we do have FDA staff available to assist you. The  
16 light will be green and you can continue speaking.  
17 When it turns yellow, you'll have another minute  
18 left to finish your comments. When it turns red,  
19 you'll be asked to stop speaking at that point in  
20 time and return to your seat. Please proceed.

21 MR. SUNDBY: Great. Thank you. Good  
22 afternoon. My name is Jason Sundby, and I am the

1 CEO of Verde Technologies. We manufacture Deterra  
2 drug deactivation and disposal technology,  
3 carbon-in-a-pouch people. Six years ago, we set  
4 out to develop a scientifically proven method to  
5 deactivate drugs. We did this under contract, an  
6 SBIR with the National Institute on Drug Abuse. So  
7 all of our science is validated not only in our  
8 labs, but Mercer University in Atlanta, and then  
9 again by NIDA.

10 As of today, there are multi-millions of  
11 units that have been dispensed throughout the  
12 country, which equate basically to about  
13 155 million dosage units that are being deactivated  
14 and taken out of people's medicine chest. At-home  
15 drug deactivation is a tangible, cost-effective  
16 convenient and easy way to get rid of unused and  
17 unwanted drugs out of the home. It allows us now  
18 to close the pharmaceutical life cycle on drugs.

19 A few examples of how these are being  
20 employed. Two attorney generals, Pennsylvania and  
21 Kentucky, have dispensed them throughout their  
22 state to try and stop this opioid epidemic, and

1 Mallinckrodt Pharmaceuticals incidentally has  
2 purchased millions of units from us and have done  
3 the same. They've done a nation-wide campaign to  
4 get these out there.

5 We really believe that it's important for  
6 the FDA to expand their options for safe disposal.  
7 Right now it's kitty litter, coffee grounds, and  
8 saw dust, and flushing. There are technologies  
9 like ours that are out there now that can actually  
10 deactivate these drugs, and hopefully you'll expand  
11 those options. We're endorsed by the DDA  
12 Educational Foundation. We were added into the  
13 ONDCP and the President's Commission. We're  
14 recommendation number 17 of the opioid commissions.

15 So there's a much better way to sustainably  
16 get rid of unused and unwanted pharmaceuticals, and  
17 we hope that you'll take these comments and expand  
18 it. Thank you for allowing me to speak.

19 DR. SLATKO: Thank you. Next?

20 MR. SU: Hi. My name is Hoong Su. I'm the  
21 senior principal with Shire Pharmaceuticals. I'm a  
22 packaging engineer. I've heard a lot about unit

1 dosage and going from one platform of packaging to  
2 another platform of packaging. I would like to  
3 submit to the FDA if we are asked to go from one  
4 platform that is currently being distributed on the  
5 marketplace to another, to allow time for that  
6 because the stability study that we need to do for  
7 the product itself -- one of the main things of  
8 packaging is also the technique of the product.

9 The other thing, the time allowed for  
10 studying, the time allowed to change over the  
11 packaging lines when we're doing that, it's not a  
12 quick solution. It does require time to implement  
13 that, so please take that into consideration.

14 DR. SLATKO: Thank you. Hello?

15 MS. McNANNAY: Hello. My name is Jody  
16 McNannay. I'm with Curadite, and we have been  
17 working on packaging and also on medication  
18 adherence. We see a real overlap between  
19 medication adherence, as many panelists here  
20 discussed, and the opioid crisis. The thing that  
21 I've noticed and I really appreciate from a number  
22 of the panelists is the discussion on

1       incentivization.

2               I think it's really important. I know Drs.  
3       Bosworth, Ciccarone, Dr. Mendelson, Dr. Slatko all  
4       talked about this. And the reason that I stress  
5       this is because you do have a patient, you do have  
6       a physician, you do have a pharmacist. And there's  
7       always the question of who's going to incentivize  
8       and also who is going to be reimbursed.

9               I think there's some real crossover between  
10       these two so that when we think about how this  
11       problem is going to be solved and addressed, I hope  
12       that you'll take into consideration those groups  
13       and also those people who are active with creating  
14       packaging and labeling and so on and so forth. I  
15       thank you very much for your time.

16              DR. SLATKO: Thank you.

17              MR. GOODLOE: Thank you for the workshop and  
18       for the opportunity for the audience participation.  
19       Peter Goodloe, an attorney with the law firm  
20       Brownstein Hyatt. I spent most of my career  
21       working as a counsel in the House of  
22       Representatives working on legislation concerning

1 public health agencies, FDA, NIH, CDC, HRSA,  
2 SAMHSA, ARC. But here today, I'm representing a  
3 company that makes lockable prescription vials.  
4 We're not here to talk about specific products of  
5 course, but rather general principles.

6           So what's the objective? It stops pilfering  
7 in the home. It's a gateway issue. It's how kids  
8 get started. How do you fold it into the system?  
9 Earlier, one of the slides showed a locking cap.  
10 That was I believe an after-market product. The  
11 consumer would have to make the decision to  
12 purchase that. Perhaps a better way to do it is to  
13 try to seamlessly fold it into the existing system.  
14 It has to be affordable for health plans. It has  
15 to be easy for the pharmacist. It can't take that  
16 much time. And it has to be easy for the patient.

17           You add all that together -- and we need  
18 more data. But I've heard a lot of talk about  
19 what's the most bang for the buck, we can't wait 10  
20 years, what can be done now. This is our work  
21 explored. Thank you.

22           DR. SLATKO: Thank you.

1 DR. LANGLEY: I'm going to piggyback off  
2 that because I also have a locking cap.

3 (Laughter.)

4 MR. LANGLEY: I was going to touch on  
5 question 1 because I wasn't sure if there was any  
6 closure manufacturers on the panel, and that  
7 question was the steps or approaches new packaging  
8 developers currently follow when designing new  
9 packaging. So I just was going to give a little  
10 insight at least our process. Our process might be  
11 a little different than traditional closure  
12 packaging company because we created this based off  
13 of a need. We weren't an original need packaging  
14 company.

15 Long story short, my partner's brother  
16 became addicted to prescription medication by  
17 taking one or two from his Mom after she was in a  
18 bad car accident. He was a teenager, and that's  
19 how he got started. From there, he actually became  
20 the neighborhood lawn mower and began going into  
21 all neighbors' medicine cabinets and taking one or  
22 two from them so it wasn't as noticeable.

1           So he figured if there's something around  
2 Safer Lock or locking cap that the existing  
3 prescription models, one could do without the  
4 other. One, he would have never started because  
5 there's a lock on it, or two, maybe it's not  
6 indestructible, and he would have broken it, but at  
7 least she would have known. She actually accused  
8 the pharmacist of shorting her on medications  
9 before she realized there's a problem in the home,  
10 found out to be very common. Not only does it  
11 prevent abuse, but it protects the patient because  
12 she was coming up short at the end of the month.  
13 She couldn't refill early, so she was actually  
14 going an extra few days or for a week in pain.

15           What we decided to do from that, as the  
16 market rises [indiscernible] situation, as we're  
17 all familiar with, we wanted to just design  
18 something that was disruptive to the industry but  
19 not too disruptive to the process. So we designed  
20 a cap that actually fits -- we're not a bottle  
21 manufacturer; we're a cap manufacturer, and it fits  
22 existing prescription bottles. So it's something

1 that can be implemented easily. It even fits with  
2 some of the pharmaceutical auto fills.

3 It's a mild disruption on the pharmacy fill  
4 process. The only real disruption is on the  
5 consult. It adds an extra few seconds to the  
6 consult to educate the patient on the need for  
7 support, which I think should be going on anyway.  
8 [Inaudible]. So theoretically, it's actually not  
9 adding too much because it's something that should  
10 be done anyway.

11 From that, we tested the product, Consumer  
12 Product Safety Commission, and showed it's child  
13 resistant but also senior friendly. Some people  
14 find this easier to use because it's not a press  
15 and turn but simply a turn.

16 I just wanted to share. One of the  
17 challenges, though, as has been mentioned here with  
18 us, is that it's a very light indicator of whether  
19 or not this is successful in past patients. We get  
20 a lot of anecdotal feedback from families saying  
21 they're so happy that they had this, but in reality  
22 how we even know if it really made a difference is

1 going to be down the road a year or two or a few  
2 years maybe when there's less treatment, because  
3 that's when [indiscernible] having less people go  
4 to treatment.

5 DR. SLATKO: Thank you.

6 DR. CHAN: It looks like, again, we're still  
7 a little bit ahead of schedule, which is always  
8 nice. I think we're going to go ahead and break  
9 for lunch. I would ask that everyone return to the  
10 room by 1:20, and thank you for this morning's  
11 discussion.

12 (Whereupon, at 12:14 p.m., a lunch recess  
13 was taken.)

14

15

16

17

18

19

20

21

22



1       may be able to use to accomplish this.

2               I'm not going to read the disclaimer. It's  
3       the same one as in the other presentations, but I  
4       just want to mention that the views I express and  
5       the comments during the panel session that I may  
6       make are wholly my own and should not be taken as  
7       FDA's position or regulatory approach towards these  
8       options.

9               In addition, I want to emphasize that while  
10       my work at FDA deals with law as well as policy, I  
11       work in CDER, not the Office of Chief Counsel, so I  
12       don't speak for the agency on legal questions.

13              As an overview, this presentation is  
14       intended to provide some high-level content on the  
15       pathways and authorities that might apply, and in  
16       some cases are likely to apply, to these options.  
17       I'll also talk very briefly about certain aspects  
18       of the approach FDA has taken towards the  
19       regulation of abuse-deterrent opioids and how that  
20       approach may be potentially applicable to the  
21       regulation of the issues and topics that we're  
22       discussing today.

1           This presentation is not intended and should  
2 not be taken to represent FDA's views of how our  
3 regulatory authorities will apply or how any  
4 particular product will be regulated. This is  
5 largely novel regulatory territory, raises a lot of  
6 complex questions, but we really need to find  
7 answers; it's not just questions. Hopefully, the  
8 panel discussion will help us get there or start to  
9 get there.

10           We haven't issued guidance on this topic,  
11 but for now we will take a case-by-case approach,  
12 and we may be able to issue more public guidance in  
13 the future. We hope the discussion that follows  
14 this presentation will inform our consideration of  
15 these issues.

16           The first regulatory topic I want to mention  
17 is the basic benefit-risk paradigm that governs all  
18 new drug approvals. For any new drug application,  
19 not just for opioids, FDA will only approve the  
20 drug if it determines that its benefits outweigh  
21 its risks.

22           As for opioids in particular, FDA takes into

1 the account the significant abuse and misuse  
2 potential associated with these products, including  
3 the broader public health impact of such abuse and  
4 misuse, along with all other risk factors when  
5 considering the risk side of the risk-benefit  
6 calculus.

7 For example, for the fentanyl spray  
8 products, FDA reviewed the performance of the  
9 charcoal or carbon-line disposal pouches included  
10 with these products in connection with its  
11 consideration of the safety risks associated with  
12 residual fentanyl remaining in the bottle after the  
13 patient has completed or ceased using the product.

14 When any of the options and technologies  
15 that are the focus of this workshop are  
16 incorporated into a drug development program and  
17 specifically into a new drug application, or NDA,  
18 FDA would evaluate a known or reasonably expected  
19 impact of the technology on product safety. By the  
20 way, we'll also consider any expected or reasonably  
21 expected or shown demonstrated impact on product  
22 efficacy.

1           So while the focus of the workshop is on  
2 safety-enhancing technologies, we recognize that  
3 many of the options we're discussing could have  
4 efficacy-enhancing benefits as well, including but  
5 not limited to positive effects on medication  
6 compliance and patient-physician communication.

7           The next topic I want to mention as also  
8 part of the NDA review process is drug labeling.  
9 Prescription drug labeling must include the  
10 essential information needed for the safe and  
11 effective use of the drug. This standard generally  
12 applies to labeling, whether it's directed at  
13 prescribers or labeling directed to patients.

14           FDA can consider information about the  
15 options that we're discussing in this workshop  
16 appropriate for inclusion in labeling. For  
17 example, back to the fentanyl spray products, the  
18 labeling of those products, the NDA products,  
19 includes extensive descriptions of the disposal  
20 pouches included with those products and  
21 instructions for their use.

22           Also, as discussed in the first session

1 today and in part in the second, depending on the  
2 studies conducted, FDA may consider approving  
3 labeling statements about one or more clinical or  
4 public health benefits expected to result from the  
5 use of a particular storage, packaging, or disposal  
6 technology or option.

7           The next topic I want to mention is REMS.  
8 That stands for risk, evaluation, and mitigation  
9 strategy. A REMS is required whenever necessary to  
10 ensure that an opioid drug product's benefits  
11 outweigh its risks. As I'm sure almost everyone  
12 here knows, REMS have long applied to the  
13 extended-release and long-acting opioid products.  
14 And as I'm sure almost all of you also know, FDA  
15 has recently initiated the process for mandating  
16 REMS for immediate-release opioids as well.

17           REMS could apply to the technologies that  
18 we're discussing today in a variety of ways. To  
19 take a fairly trivial example, and one that we've  
20 already done, medication guides and communication  
21 plans are often included in REMS. A medication  
22 guide is information directed at the patient, and

1       communications plans, information directed at  
2       healthcare practitioners. They may be required to  
3       include information about the kinds of options,  
4       packaging or disposal options, that we're  
5       discussing today.

6               For example, for Subsys, which is a fentanyl  
7       spray product regulated under a REMS, the  
8       medication guide includes information on the Subsys  
9       child safety kit and references information in the  
10      patient-directed instructions for use on how you're  
11      supposed to use the included disposal pouch. In  
12      addition -- and this is more complicated -- FDA is  
13      also considering whether and under what  
14      circumstances it may be appropriate to require a  
15      safety-enhancing storage, packaging, or disposal  
16      technology as part of a REMS.

17             Next, I'd like to talk about potential  
18      regulation of the options that are the focus of  
19      this workshop as drug-container closure systems.  
20      Drug packaging is assessed to ensure the packaging  
21      is suitable for the product's intended use. This  
22      includes questions for all drug products, such as

1 does the packaging system adequately protect the  
2 dosage form for the duration of the product's  
3 expected shelf life; does it avoid reacting  
4 chemically; and does it avoid leaching harmful  
5 chemicals into the dosage form, et cetera?

6 But we also evaluate whether a  
7 container-closure system that is intended to have  
8 some other function, in addition to just holding or  
9 protecting the dosage form, actually functions as  
10 intended.

11 So for the packaging options that are the  
12 subject of this workshop, to the extent that they  
13 would qualify as components of container-closure  
14 systems, FDA's container-closure review conducted  
15 during the NDA process would include an evaluation  
16 of whether the product can be expected to have any  
17 safety-enhancing property or properties that the  
18 sponsor intends for it to have.

19 Now, I want to briefly touch on device  
20 considerations, which have come up several times  
21 already today, and I'm sure we'll talk about it  
22 more on the panel, and how they may potentially

1 apply. I'm not going to read this long definition  
2 of what qualifies as device, but I'll just mention  
3 that some of the options discussed in this workshop  
4 could, depending on their intended use, be  
5 considered devices; or if they were included as  
6 part of a drug development program could be  
7 considered device constituent parts of drug-like  
8 combination products.

9 For example, if an opioid drug sponsor  
10 packages its product with a locking technology and  
11 obtains FDA approval for a labeling statement that  
12 its technology can be expected to deter accidental  
13 exposure and/or overdose, FDA may consider the  
14 locking technology device a constituent part of the  
15 product and regulate it accordingly.

16 Now, with that said, I don't mean to imply  
17 that all or even most of the options that we're  
18 discussing today would be considered devices in all  
19 cases or that inclusion of them in a drug  
20 development program would necessarily result in a  
21 product being a combination product.

22 Unfortunately, I can't give you any

1 delineation of when it would and when it wouldn't  
2 be. This is a nascent area for FDA regulation, as  
3 I mentioned already, and these technologies present  
4 a lot of complex issues, device issues just being  
5 one of them. But I can say that we will work with  
6 drug sponsors as well as firms developing  
7 stand-alone technologies on any device  
8 considerations that may or may not apply on  
9 case-by-case basis.

10 Finally, I want to briefly touch on how our  
11 regulatory approach towards the options we're  
12 discussing today could reflect the approach we have  
13 already taken toward abuse-deterrent opioid  
14 formulations.

15 As a policy matter, as we all know, the goal  
16 is to make these opioid medications safer. So  
17 whether the safety-enhancing feature is a  
18 specialized formulation that resists snorting or  
19 injecting, or for example an innovative packaging  
20 technology that deters overdosing or deters a  
21 diversion, the potential positive impact on patient  
22 safety and public health could be significant. And

1 I expect FDA to be fairly agnostic as to whether  
2 that benefit arises from the formulation, from its  
3 packaging, or whatever other feature of the product  
4 that's under consideration.

5 One potential regulatory parallel would be  
6 labeling. Over the course of several years, FDA  
7 developed and publicized detail guidance on how  
8 opioid drug sponsors can show that their  
9 potentially abuse-deterrent formulations can be  
10 expected to deter abuse.

11 Consistent with the approach described in  
12 that guidance, FDA has today approved -- and I  
13 think I have this number right -- 10 opioid drug  
14 products with abuse-deterrent labeling; that is  
15 labeling describing their products expected  
16 abuse-deterrent properties. Those claims that  
17 include appropriate caveats such as the products  
18 that are undergoing additional study post-market,  
19 FDA may update or modify the labeling as needed  
20 based on the results of those post-marketing  
21 studies, et cetera.

22 As discussed in some detail in an earlier

1 session today, FDA could potentially take a similar  
2 approach to labeling, describing the expected  
3 benefits of safety-enhancing storage, packaging, or  
4 disposal options that are the subjects of this  
5 workshop, depending again on the data and studies  
6 that are submitted in support of those options.

7 Finally and more generally, FDA has stated  
8 regarding its regulation of abuse-deterrent opioids  
9 that it will take a flexible and adaptive approach  
10 to make sure we are utilizing our regulatory tools  
11 to appropriately support development and  
12 utilization of such formulations. I expect us to  
13 take a similar approach towards the  
14 safety-enhancing technologies under discussion  
15 today.

16 With that, I'd just like to thank you all  
17 for the opportunity to speak today, and I look  
18 forward to hearing from the panelists and other  
19 stakeholders on how FDA can use its regulatory  
20 tools and authorities to properly incentivize and  
21 regulate the potentially safety-enhancing  
22 technologies that we're discussing here. Thank

1 you.

2 (Applause.)

3 **Panel Discussion**

4 DR. BERTRAM: Mr. Raulerson, thank you very  
5 much for the overview of the regulatory pathways  
6 and considerations, as well as authorities for  
7 these products. Again, I had microphone issues  
8 earlier, so for those of you that don't know me, my  
9 name is James Bertram. I'm with the Center for  
10 Devices and Radiological Health. Before I get into  
11 the first question, I think I have two answers for  
12 low-hanging fruit.

13 Dr. Kelman, the answer to one of these  
14 devices versus drugs, it depends.

15 (Laughter.)

16 DR. BERTRAM: For attaching explosives, I  
17 think we'll have to do paper-rock scissors with ATF  
18 to decide.

19 (Laughter.)

20 DR. BERTRAM: With that, looking to get into  
21 this panel. Just a reminder, I won't go too far  
22 into the nuances of this, but we have a number of

1 focus questions that we'd like to use to direct the  
2 conversation. I believe there are 7 or 8 of them,  
3 so that gives us anywhere from 5 to 7 minutes per  
4 question. We've ordered them in what we think is  
5 maybe more fruitful in discussion, so if we don't  
6 get to the end ones, it will be okay.

7 With that, getting into the first  
8 question --

9 MR. RAULERSON: We're trying to put it up on  
10 the screen now. Sorry.

11 DR. BERTRAM: The earlier sessions, we  
12 discussed possibly incentivizing claims and  
13 technologies, or at least technologies, through  
14 labeling claims. I think the first question we're  
15 going to be looking at is taking a different  
16 perspective as at what point do we believe it's  
17 appropriate to require such technologies to be part  
18 of either the condition of approval or for the  
19 continued marketing of the product? With that,  
20 what level of proof do we believe the agency needs  
21 to have to make this a requirement?

22 I think this is actually a great question

1 coming back from lunch because I heard really a  
2 pretty vast discussion in the previous two  
3 sessions, being the agency should provide  
4 clarification so the industry as a whole knows what  
5 they're looking to address and pursue; others  
6 noting we're still early in acquiring what the  
7 problems are and what are the solutions to the  
8 problems. Also, depending on what technologies and  
9 at what stage we mandate, it could impact  
10 availability of the products for years to come or  
11 until they are available.

12 With that, I'd like to turn it over to the  
13 panel. Who would like to dip their toe in the  
14 water first? Just as a reminder, please introduce  
15 yourself when you talk for the transcript.

16 MS. WHALLEY BUONO: This is Liz Whalley  
17 Buono. The light bulb just went off with that  
18 presentation as to when we're talking about  
19 labeling claims. It wasn't really clear to me;  
20 that I think what we're talking about is an actual  
21 claim made around the effectiveness of the  
22 packaging innovation itself.

1 MR. RAULERSON: Right. So there's really  
2 two labeling issues. One is a mutual description  
3 of what the option is. Like if there's a charcoal  
4 disposal pouch included with a fentanyl spray  
5 product, it says that in the labeling, and it tells  
6 patients how to use it.

7 What we're talking about today, or in  
8 addition, is what you just mentioned, which is the  
9 potential for getting some sort of statement in the  
10 labeling, FDA approved, that that disposal pouch,  
11 or some other option under consideration, is  
12 expected to have some benefit we think significant  
13 enough to include in the FDA-approved labeling.

14 MS. WHALLEY BUONO: Got it. Okay. So that  
15 was something that honestly hadn't even occurred to  
16 me until just now it went off. I certainly defer  
17 to anybody else around the room, but the concept of  
18 adding verbiage onto the product around the  
19 functionality of the packaging, to me, I worry  
20 about, A, just adding more information to an  
21 already crowded label that really is not going to  
22 be I think of value to the patient. And I'm not

1       sure from a commercial perspective whether the  
2       packaging industry would really feel that that's  
3       necessary from a competitive advantage perspective.

4               The claims around the packaging, in my mind,  
5       the real question is what does the FDA need by way  
6       of proof to show that, A, the packaging is not  
7       going to cause any unintended harm, and that, B,  
8       it's actually going to help with some of the  
9       challenges posed by the opioid epidemic.

10              So just from a commercial perspective, I  
11       don't see adding packaging claims to -- the right  
12       to add a claim to the package is something that  
13       would be particularly attractive. I think it's  
14       really a question of what would the FDA need by way  
15       of evidence to approve these packaging concepts,  
16       and are they PAS submissions. How do we get that  
17       through most expeditiously as possible, where a  
18       manufacturer is willing to invest in these sorts of  
19       innovations?

20              DR. BERTRAM: So it does seem more of the  
21       view that what you're saying is the agency dictated  
22       and said tell us what we need to see, and we look

1 at how best to apply [indiscernible] that  
2 information.

3 MS. WHALLEY BUONO: I should say there's an  
4 educational component to adherence packaging, let's  
5 say as an example -- and I'm sorry to keep on  
6 bringing that up, but that's what we've been doing  
7 for the last 10 years.

8 Let's say on the adherence packaging down at  
9 Walmart launched through its pharmacy, there was  
10 language on there that said this package is  
11 designed to help you track your medication and take  
12 it correctly. Refer to the calendar, and then  
13 there were instructions around how to follow the  
14 calendar. And those were added because we learned  
15 from the pharmacy feedback and the patient feedback  
16 that the patients simply were not identifying the  
17 calendar because when that packaging was first  
18 launched, it was really a new concept.

19 So there was an educational component, and  
20 then once the patients were educated, oh, that  
21 there is actually a calendar and this is what I'm  
22 supposed to use it for, then obviously the

1 effectiveness of the packaging was heightened.

2 So there are components I think that are  
3 valuable to have in the labeling as far as the  
4 intention of the packaging and how to use it, but I  
5 think that's very different than some sort of  
6 claim, which I'm not sure would mean anything to a  
7 patient.

8 DR. BERTRAM: Dr. Budnitz?

9 DR. BUDNITZ: From a point of clarification,  
10 my understanding is that when they talk about the  
11 package label, it's not what the patient sees on  
12 their amber bottle or on the box. This is a  
13 package insert that's 15 pages long in a very small  
14 font, just to clarify.

15 MS. WHALLEY BUONO: And I think there's some  
16 crossover there with the different innovative  
17 packaging types because a lot of that material is  
18 then printed on the extra space on the adherence  
19 packaging. So there's a crossover.

20 DR. BUDNITZ: Maybe you have data to clarify  
21 what they're talking about for the increased claim.  
22 It might be something that might be used for

1 payers --

2 MR. RAULERSON: It might be used for  
3 promotional materials to prescribers as well.

4 DR. BUDNITZ: Promotional materials but not  
5 necessarily --

6 DR. BERTRAM: In Session 1, in Irene's  
7 presentation, there were several examples, like  
8 this technology is expected to reduce the risk of  
9 external exposure. I'm not getting the words  
10 right, but we don't have any words anyway. But  
11 that kind of thing wouldn't be directed at the  
12 patient. It would be directed to the prescriber.

13 So we would consider that. If they showed  
14 through substantial evidence, we would say  
15 that's -- depending on the technology and the data,  
16 we'd say that meets the definition of essential  
17 information for the prescriber to know about.

18 MS. WHALLEY BUONO: I'd just point out that  
19 sometimes there's value in some of that information  
20 for the patient, from an educational perspective.

21 MR. RAULERSON: We would consider that as  
22 well.

1 MS. WHALLEY BUONO: Yeah.

2 MR. RAULERSON: Can everyone hear me? I  
3 want to make sure we get to question 1 -- we should  
4 keep talking about labeling as needed, but under  
5 what circumstances do you think FDA should take  
6 that additional step of saying thou shalt to the  
7 manufacturer, thou shalt include some kind of  
8 safety-enhancing packaging or disposal or storage  
9 options?

10 MR. WEBB: Good afternoon. Kevin Webb,  
11 Mallinckrodt Pharmaceuticals. In the question  
12 regarding labeling, it's obviously a complicated  
13 issue. One of the things I think the FDA needs to  
14 also take into consideration is, to your point, a  
15 type of promotion activity. We support that,  
16 opportunities to be able to do that, but since most  
17 of the opioids are generics, generics don't promote  
18 their medications by default. So we're just  
19 looking at how do we maximize value with the  
20 distributor so that they choose our generic  
21 medication over another. At that point, you're  
22 looking at margins.

1           So we're probably not going to look to a  
2 labeling opportunity to differentiate it from a  
3 promotional capability. We're going to be looking  
4 at making sure it's a level playing field. We want  
5 to make sure that if we do this, everyone's doing  
6 it because we don't want to invest millions of  
7 dollars developing a new type of packaging when my  
8 competitor is not, and the distributor buys their  
9 packaging or their piece because it's 5 cents less  
10 expensive than mine.

11           It goes back to then the FDA saying if we're  
12 going to do this, this is what the manufacturers  
13 need to have as far -- again, I'll use a blister  
14 pack configuration just because of simplicity; that  
15 they all need to have it, allow us then to be  
16 innovative saying these are some of the features  
17 that might be different in one blister  
18 configuration from another, but we're all investing  
19 in the same thing. The guidance and clear  
20 direction of the FDA is going to be what we need to  
21 be able to move forward.

22           MR. BERGHAWN: Just kind of pushing back a

1 little bit on this point, [inaudible]. I look at  
2 21 CFR 201, and what's on that package is it needs  
3 to provide another direction for someone to get  
4 adequate and safe use out of their product. And I  
5 think we're all sitting here today because it's not  
6 happening. It's failing miserably.

7 So I think we need to consider both, what is  
8 this added labeling needed for patients, as well as  
9 the procedures, as well as what you're describing.

10 MR. RAULERSON: I don't disagree.

11 DR. BERTRAM: Dr. Kelman?

12 DR. KELMAN: Jeff Kelman. I'm less worried  
13 about the labeling issue. But if the FDA gets  
14 information that actually changes the safety risk  
15 factor for a drug based on packaging, it's hard to  
16 see how it cannot require.

17 MR. WEBB: I just want to ask one clarifying  
18 question. As we think about the whole regulatory  
19 review as well as the question that we would want  
20 as a manufacturer, how much time -- I don't expect  
21 an answer on this, but as we look at what is the  
22 regulatory review process on this, as we bake that

1       into how quickly we bring something to the market,  
2       we would need to know is this a CB30 review, is  
3       this an annual report, or is this going to be  
4       something that's a prior approval. All that needs  
5       to be factored into what configuration we're  
6       looking at.

7               MR. RAULERSON: Any other thoughts on  
8       question 1?

9               DR. BIX: This is Laura Bix from Michigan  
10       State University. I do think that there is an  
11       example, a path that's been traveled, patterns, and  
12       that's the Poison Prevention Packaging Act. The  
13       language in that act may not prescribe a design,  
14       but you need to meet a certain performance  
15       standard. So it's specific, yet flexible at the  
16       same time. So it presumably allows for innovation,  
17       but it also mandates a performance standard. So to  
18       me, that's been a very successful path, maybe not  
19       perfect, but a successful path that deals with the  
20       problem 30 years ago, 40 years.

21               MR. RAULERSON: So expand on that. I know  
22       in some of the earlier sessions today, you've heard

1 FDA say we want to allow for innovation. We've  
2 heard from several panelists, FDA you've got to  
3 give us targets. So it's going to be important for  
4 us to strike the right balance. I don't know if  
5 anyone else has thoughts on that.

6 MS. WHALLEY BUONO: Liz Whalley Buono. I  
7 think that's a very interesting analogy, Laura. I  
8 guess I'm having trouble thinking about, so I love  
9 the idea of the flexibility, and it provides an  
10 open blue space for innovation. But then at the  
11 end of the day you've got a protocol that you test  
12 against. And it's for one problem, and it's for  
13 the problem of children getting into packaging.

14 When we're looking at what we're hoping the  
15 packaging impact will be in the market, I don't  
16 know, what do you say? A hundred problems? So  
17 what do you test against? And I guess my mind goes  
18 to when you talk about level of proof, I would say  
19 the FDA needs enough data to feel that the  
20 packaging will not do any harm versus a vial.

21 So that's the first thing. And you can  
22 certainly get there I think with an amount of data

1 that's digestible and probably we can get. But  
2 then when you talk about the hundred discrete  
3 problems and how do you test for diversion in the  
4 home, I'm having a hard time.

5 I guess that's a really inarticulate way of  
6 saying that I think once you get the benchmark of  
7 it's not going to do any harm versus a vial, then  
8 you start to look at perhaps more subjective type  
9 endpoints for data standards would be acceptable to  
10 begin implementing things.

11 DR. BIX: I agree, and think that that's  
12 something that I strongly recommended at the first  
13 meeting, and I think one piece of it is you have to  
14 prioritize how you're going to eat the elephant.  
15 So I would suggest that you have to prioritize  
16 which bite you want to take.

17 MS. WHALLEY BUONO: Just so we're clear.  
18 The evidence right now is not out there in the  
19 published literature. We did a very comprehensive,  
20 systematic review of all randomized control trials  
21 of a certain caliber up, and there just simply has  
22 not been the type of evaluations done and published

1 on packaging prior to the three that we put up, and  
2 if there's additional ones that I'm not aware of,  
3 but we've been kind of living and breathing this  
4 space. We can't really hoe that ground. It's not  
5 out there. So whatever we're looking for, we have  
6 to create moving forward.

7 DR. THROCKMORTON: Liz, I want to ask a  
8 clarifying question. You seem to be articulating a  
9 standard, no worse than vial. I'm having a hard  
10 time understanding that. It seems as though you'd  
11 need more than that to support a change in  
12 packaging that would lead to potential patient  
13 confusion and those kinds of things.

14 I think that was partly why we were talking  
15 about claim because we're trying to incentivize  
16 better than existing. We wanted people to be doing  
17 better than existing vials because we thought that  
18 was the direction we needed to be heading. We  
19 needed new technologies. We needed new ideas in  
20 these areas that we've talked about already. No  
21 worse than existing doesn't seem like a goal I'm  
22 overly excited about.

1 (Laughter.)

2 MS. WHALLEY BUONO: Yeah. I wouldn't  
3 imagine that you would, especially if you're  
4 talking about something like mandate. This  
5 is -- sorry -- again a non-articulate way of  
6 saying -- no worse than vial to me is it doesn't  
7 cause patient confusion.

8 What is the option? Right now, you've got a  
9 bottle or a vial full of pills and a very curved,  
10 small-font, round label, and then perhaps  
11 additional ancillary information that goes out with  
12 it. So I would just say from a risk evaluation  
13 perspective, you start with what's currently there,  
14 and that's what we've got, and then you decide  
15 what's the level of substantiation around things  
16 like confusion associated with a new packaging  
17 type.

18 Well, you can get that from usability  
19 studies. You can get that rather quickly from  
20 consumer engagement packets. You can get that sort  
21 of things. But you're not going to get all the way  
22 to bright in a short period of time. A, the

1 package needs to be out in the market to evaluate  
2 it unless you're talking about a randomized  
3 controlled trial, which I just don't think -- I  
4 mean, if we're talking about that, then we're years  
5 away from a solution.

6           So I guess I'm going with the whole first do  
7 no harm. So if you've got some concepts that are  
8 working in other areas, and you've got data that  
9 shows that they're not causing confusion, and  
10 you've got a common-sense approach to maybe they  
11 can help with some of these newer opioid-specific  
12 issues, it's a lower bar arguably from a regulatory  
13 perspective, but to me that's the only way you move  
14 the needle on this.

15           MR. RAULERSON: What are you anticipating as  
16 the regulatory impact that meets that lower bar?  
17 We would allow a description of the packaging. We  
18 would allow it to be part of the product where we  
19 would mandate something.

20           MS. WHALLEY BUONO: For you to mandate,  
21 you're going to need more evidence than that.  
22 There's definitely got to be a justification for

1 the increase of cost for the manufacturer, and  
2 hopefully you're looking at public health  
3 improvement.

4 MR. RAULERSON: Sorry. One last subpart to  
5 this first question, and then move on to the next,  
6 because this ties into something we've already  
7 heard a couple of times, which is -- and I think  
8 for Mallinckrodt and others, that if FDA doesn't  
9 require it, what's the incentive? What's the  
10 incentive for uptake by pharmacies, manufacturers,  
11 any stakeholders in the distribution chain?

12 If we don't have enough evidence to require  
13 it, but we simply allow it, we're in a position  
14 that we've been in with abuse-deterrent  
15 opioids -- at least this is a view that's been  
16 expressed to us in the past by several  
17 stakeholders, which is labeling isn't enough  
18 because I can't convince payers to preferentially  
19 prescribe my product even though I have this  
20 labeling claim.

21 We need more than that. I'm wondering if we  
22 have thoughts from the panelists. And that's not

1 FDA's position, but we've heard that, and I'm  
2 wondering, since we've heard similar thoughts  
3 today, if anyone has any additional considerations  
4 for us.

5 MS. WHALLEY BUONO: Well, I can just say,  
6 two, and I don't know how feasible they are, and  
7 certainly I would defer to the experts on this.  
8 But preferred formulary status would be one that  
9 would seem reasonable to me and some sort of  
10 improved reimbursement rate for drugs that come in  
11 those packages.

12 They're just two market incentives. I don't  
13 know how realistic they are because now you're  
14 crossing jurisdictional lines into CMS' area or  
15 managed care's formulary setting. Other than a  
16 mandate, those are the only two incentives that I  
17 can think of that justify the upcharge.

18 DR. KELMAN: The argument is going to be  
19 that if this were truly a preferential product that  
20 actually was safer and more effective than the  
21 other that exists on the market, the others should  
22 be off the market.

1 MS. WHALLEY BUONO: So you're not making a  
2 claim that the drug becomes more effective and  
3 safer because it's in a package. It's being  
4 offered in a package that can improve adherence,  
5 which is really what we're talking about.

6 DR. KELMAN: But your question is how is it  
7 marketed. That's why my question is about whether  
8 this is a device or a drug. Are we covering this  
9 as a drug with a technological safety element or  
10 are we covering a drug in a separate package? And  
11 if it's a separate package, it's unclear that  
12 that's reimbursable at all, depending on what the  
13 package features are.

14 DR. BERTRAM: Can I ask a quick clarifying  
15 question? You're saying drug versus device, so I  
16 understood that the way you described it to be is  
17 whether it's part of the drug packaging or maybe a  
18 combination product as compared to a stand-alone  
19 product.

20 DR. KELMAN: Does it have an NDA. That's  
21 what I'm really asking about, and to most payers,  
22 that will be the question.

1 MR. BERGHAWN: Walt Berghahn, HCPC. To  
2 Liz's point, you've got a hundred problems. You're  
3 going to have to prioritize them. And if one of  
4 the top priorities is that you want to reduce child  
5 ingestion of opioids, you've got a very clear  
6 solution. You're going to get into unit dose, and  
7 then you're going to see what additional benefits  
8 you get from that measure, which addresses some of  
9 the other 99 problems. There are no solutions  
10 that's going to hit all hundred, but when you  
11 prioritize the problems and see which ones are  
12 giving you the most problem, [inaudible] then we  
13 can target solutions.

14 MR. RAULERSON: Let's go on to question 2,  
15 which is what potential unintended consequences,  
16 for example, on availability or cost of opioid  
17 medications, do the panelists see if we were to  
18 require a mandate, some sort of additional  
19 safety-enhancing features along the lines of the  
20 things we've been talking about today?

21 MR. WEBB: Kevin Webb, Mallinckrodt  
22 Pharmaceuticals. As we think about the

1 manufacturer's perspective of who's actually  
2 bringing product to market, any additional burdens  
3 within the manufacturer would cause some of them  
4 obviously to leave the market. So I don't  
5 necessarily think, as we think about supply, that's  
6 a bad thing. But recognizing there's a value that  
7 manufacturers have with making sure there's a  
8 readily available supply of medications, that is we  
9 make the packaging too burdensome or onerous for  
10 manufacturers to absorb that incremental cost, some  
11 of them would just fold up their tents and go home  
12 and not even compete in the market anymore.

13 MR. RAULERSON: Can I ask a follow-up?  
14 Since we've been talking about -- and again, not  
15 trying to forecast what FDA's action may be. But  
16 since we've been talking about blister packs unit  
17 of use packaging, do you think that kind -- that's  
18 fairly simple technology. Would that alone be  
19 enough disruption to potentially cause problems?

20 MR. WEBB: It depends. A manufacturer like  
21 Mallinckrodt, no, it's not. We have the blister  
22 pack even though some do not. But I also want to

1 make sure that we understand that that's not  
2 something that we can ensure [inaudible] tomorrow.  
3 So there's still a significant amount of lead time  
4 that needs to go into retrofitting the existing  
5 line.

6 So I think there was a point earlier that  
7 was made during the general comments that we need  
8 to allow the industry enough time to absorb and  
9 make the necessary changes, but some just aren't  
10 going to be able to afford the infrastructure  
11 themselves just to redo the lines.

12 DR. MENDELSON: The problem with this  
13 question is the condition of approval. So if you  
14 take this to an advisory committee -- and many of  
15 us have been on committees -- the committee will  
16 demand perfect and will not accept good. And  
17 therefore, you'll end up with a thousand solutions  
18 that no one will ever use as the condition of  
19 approval, and it will never happen.

20 So I think you have to be very careful how  
21 you present this to your advisory committees  
22 because they will want it better than -- and

1 they're not focused on cost. They don't care about  
2 the cost of the product; they care about the safety  
3 and the efficacy. So I think condition of approval  
4 is a dangerous pathway to go unless you're ready to  
5 go, when you do accept one, that you pull everyone  
6 else off the market also. That's the other  
7 unintended consequence.

8           So you may end up distorting the market  
9 quickly, and you could avoid that by doing  
10 demonstration projects and then having some kind of  
11 other iterative process or just regulation from the  
12 beginning. But I think the biggest problem that  
13 you'd have is that your committees could run away.  
14 They might not approve something because it wasn't  
15 perfect, and they might insist on things that made  
16 it unmanageable or unimplementable for your  
17 product.

18           DR. SCHARMAN: I remember when the Duragesic  
19 patch first came out. It was a gel matrix, and  
20 they were cutting out the gel, and putting it on  
21 their tongue, and they were injecting it. And then  
22 later they came out with an embedded matrix, the

1 [indiscernible] gel, which is great. Now no one  
2 was sticking it on their tongue, and they weren't  
3 injecting it. But all the generic manufacturers  
4 were allowed to keep gel matrixes on the market.  
5 So they come in the pharmacy, and if the doctor  
6 wrote a fentanyl patch, the patient picked, and  
7 they got the gel every time.

8           So it did no value. So the company was very  
9 innovative and came out with a drug that stopped  
10 diversion, but it didn't work because the generic  
11 companies were allowed to not keep up with what the  
12 brand company had came out with. So I think if  
13 you're going to incentivize the brand-name  
14 companies to be creative with non-divertible  
15 products, they ought to get market exclusivity  
16 until the generic products can use that same  
17 non-diversion formula.

18           MS. WHALLEY BUONO: Liz Whalley Buono. It's  
19 an interesting analogy. The only thing that I'll  
20 raise is that I believe that the reason that the  
21 generics kept the gel products on the market is  
22 because the intellectual property was vested in the

1 branded manufacturers. You wouldn't have that with  
2 packaging.

3 No, you wouldn't because the intellectual  
4 property in the packaging is established. Unless a  
5 pharmaceutical company comes up with a brand new  
6 packaging type or exclusively licenses the  
7 packaging technology, which it's highly unlikely  
8 anybody would do that, it's a level playing field,  
9 so it's just a cost issue. There's not a barrier  
10 to entry there.

11 Does that make sense?

12 DR. BERTRAM: Thank you. Moving on to  
13 question 3, what are the benefits or challenges of  
14 mandating or otherwise including packaging,  
15 storage, and disposal options within a REMS, as  
16 opposed to utilizing FDA's authority so you can  
17 have more discipline?

18 MR. WEBB: Kevin Webb. One of the obvious  
19 issues, again from our perspective, is that through  
20 the REMS -- agreeing that the FDA has that ability  
21 to do so -- it doesn't adjust the reimbursement  
22 issue. I don't want this to be a cost issue

1 because it's not, but at the same time if the  
2 payers or the plans don't see the value proposition  
3 on this, is it still going to be a situation where  
4 you just have a better device of packaging with no  
5 incremental cost to be continued, the innovative  
6 [indiscernible] medication?

7 So I just would like to make sure that the  
8 FDA takes that into consideration that there needs  
9 to be a balanced approach. It's one thing to force  
10 the industry to change; it's another to say that  
11 the market is willing to accept it and pay for that  
12 information as well.

13 DR. KELMAN: You talk about a REMS. I  
14 assume it would be a REMS across all products, so  
15 it would be a level playing field. So if there  
16 were any cost increases, it would go to all  
17 products and not differentiate lower price and  
18 higher price ones.

19 MR. RAULERSON: Let's make that assumption.  
20 I agree that that's what we were thinking, yes.  
21 Whatever set of opioids the REMS applies to, if it  
22 included an element to mandate some kind of safety-

1 enhancing packaging, would that be of benefit to  
2 our ability to properly incentivize and regulation  
3 these technologies?

4 DR. KELMAN: It would clearly be an  
5 incentive. It would be a requirement.

6 MR. RAULERSON: It would be a requirement.

7 DR. THROCKMORTON: But an incentive to do  
8 better or just an incentive to maintain the status  
9 quo?

10 DR. KELMAN: I assume it's an incentive to  
11 improve, to be in line with the REMS.

12 MR. WEBB: I think it would raise the bar.  
13 It would level the playing field and give you the  
14 desired result that you're looking for. The market  
15 will catch up. So it's a pathway to allow you to  
16 do this.

17 DR. BERTRAM: Any other comments on REMS?

18 MS. WHALLEY BUONO: Liz Whalley Buono. I  
19 would just comment that REMS to me seems like the  
20 best solution so far because you have the  
21 opportunity to make it multifactorial. So you can  
22 then bake into a REMS counseling and all sorts of

1 different interventions that when you layer them  
2 could have exponentially greater impact than just  
3 mandating one particular innovation.

4 DR. GREEN: Another potential benefit of  
5 doing it through REMS is that you can take maybe a  
6 more risk-based approach because the REMS are a  
7 little bit more specific. For instance, the  
8 transmucosal fentanyl REMS and the buprenorphine  
9 REMS, you might be able to evaluate the risk  
10 associated with that group, whether a shared-group  
11 REMS or product-specific REMS, and then match those  
12 interventions or requirements with the risk of the  
13 products that are actually included in that  
14 strategy.

15 DR. BERTRAM: So going back to Dr. Kelman's  
16 point of whether it's an NDA or not, just to note,  
17 for devices, there are no REMS, so we don't have a  
18 REMS authority. So again, just contemplating, as  
19 you look at these technologies, if they are brought  
20 as stand-alone devices as compared to under the  
21 NDA, that may have an impact on the, quote/unquote  
22 "level playing field," but at the same time, as

1 being said, the point of this is getting to looking  
2 at consistency, should they be looking at this  
3 issue consistently irrespective of what the product  
4 type is?

5 MR. RAULERSON: All right. Let's move on to  
6 question 4, which is -- and I think this is  
7 something we are going to have to deal with -- if  
8 the option under consideration has already been on  
9 the market as a stand-alone entity, what additional  
10 considerations are warranted in evaluating its use  
11 with a specific drug? So if a drug sponsors wants  
12 to bring an already existing, stand-alone product  
13 into its drug development program to package or  
14 dispose of its product.

15 MR. WEBB: I'm sorry. Can you clarify the  
16 question, though? I'm trying to get my mind around  
17 it. Are you asking what would we need to do as an  
18 industry to bring something that already exists; or  
19 to invest and have more of an innovative blister  
20 pack, what do we need to consider?

21 MR. RAULERSON: The first.

22 MR. WEBB: What type of unit of use --

1           MR. RAULERSON: The first, that is there's  
2 already a stand-alone product that may or may not  
3 be regulated as a device. Let's assume for these  
4 purposes it's not. It hasn't sought approval. It  
5 hasn't sought clearance as a device.

6           For example, there are locking pill bottles  
7 available right now for sale. So the drug sponsor  
8 wants to bring a technology like that into its drug  
9 development program. Does it matter that the  
10 product already exists on the market in another  
11 form as a stand-alone entity? It may not. Our  
12 regulatory approach, we may be agnostic as to that.  
13 But I'm just wondering if that cues any thoughts  
14 from the panelists.

15           MS. WHALLEY BUONO: Liz Whalley Buono. I  
16 would say that the more you know about the  
17 packaging innovation, the better, because you know  
18 more about it, it's been used, and it's been  
19 hopefully evaluated. So you're just starting from  
20 a higher benchmark, if you will, of confidence that  
21 the innovation is at least safe.

22           I think what you'd need to do at that point

1 is identify the challenges that are specific to  
2 that product type, the opioid product type, and  
3 then look at what sort of evaluations can be done  
4 to give you a sense of confidence that they'll have  
5 an impact on the opioid-specific issues as well.

6 DR. BERTRAM: Dr. Mendelson?

7 DR. MENDELSON: How do you guys regulate  
8 enteric-coated products, long-acting products?  
9 Those are versions of packaging. They're somewhat  
10 the same questions, aren't they? No?

11 Enteric-coated? An enteric-coated aspirin is sold  
12 as something different.

13 DR. HERTZ: They're not analogous. They're  
14 formulations, not packaging, so it doesn't work  
15 that way.

16 DR. MENDELSON: Okay. You can't learn  
17 anything from that pathway? You can't learn  
18 anything from how you thought about that pathway?

19 DR. HERTZ: We can. What do you think is  
20 relevant there?

21 (Laughter.)

22 DR. MENDELSON: I think you did clinical

1 trials for those. Did you do clinical trials or  
2 not? That would be the first question.

3 DR. HERTZ: So I'm going to turn this back  
4 to you. It sounds to me like you're suggesting  
5 that clinical trials may be the approach to take  
6 with these. Is that what you're suggesting?

7 DR. MENDELSON: Well, I'm wondering. I  
8 actually don't know the answer. The thing that  
9 would be the most convincing, yet the most  
10 burdensome and expensive, would be a clinical  
11 trial, an RCT of some kind, and I'm not sure how  
12 you'd actually measure some of your endpoints like  
13 diversion. And you still might not learn anything  
14 important. That's why I'm asking what you've  
15 learned from other pathways that I don't have much  
16 interaction with.

17 DR. HERTZ: Well, you've sat in on some of  
18 the advisory committees. You know the program for  
19 abuse-deterrent opioids, but that is -- I'm not  
20 sure -- do you see how that methodology could be  
21 applied here? So just for the general audience,  
22 there's a number of in vitro studies that are done,

1 and then there are actual clinical studies that  
2 evaluate behavior, but none of those outcomes I  
3 think are really -- I don't know how.

4 Like for instance, drug liking, willingness  
5 to take drug again, how high the drug makes you,  
6 those are the negative effects -- I mean, those are  
7 the kind of outcomes we measure in those studies.  
8 We've learned a lot from our experience with ADFs.  
9 And I guess if you wanted us to apply a clinical  
10 trial design, it goes right back to you, how do you  
11 foresee that?

12 DR. MENDELSON: I'm not sure I do want us to  
13 apply -- I'm sure Sharon could figure it out. I  
14 have no doubt that Sharon -- the explosives would  
15 be particularly good at your lab. There are a  
16 couple of post docs you could assign to that  
17 project tomorrow. I'm pretty sure you know them.  
18 Maybe me if I were working in your lab.

19 This question of how you're going to test  
20 these in an abusing population is going to be very  
21 complicated because your endpoint is, again, this  
22 non-user diverter type population.

1 MR. RAULERSON: Actually, tomorrow we're  
2 going to get --

3 DR. MENDELSON: We'll talk some of that --

4 MR. RAULERSON: -- the studies, the  
5 effectiveness of these options.

6 DR. BATEMAN: Can I just make one comment in  
7 response? There are probably some things you can  
8 measure in a trial context: number of leftover  
9 pills --

10 DR. MENDELSON: Exactly.

11 DR. BATEMAN: -- or did the patients dispose  
12 of the leftover medication. That might be quite  
13 relevant.

14 DR. MENDELSON: Yes.

15 DR. THROCKMORTON: I think, Patrick, for  
16 this afternoon, the reason why the RCTs are  
17 worthwhile is the reason people do those studies is  
18 because they get claims. They don't do them  
19 because we told them to do them; they did them  
20 because they saw specific language in their label  
21 that allowed them to differentiate their product  
22 from the ocean of other opioids out there. And

1 that was why we were talking about that paradigm  
2 here; is that valuable to encourage innovation and  
3 creativity, and doing the studies that I'm hearing  
4 people feel we're going to need to have?

5 FDA is not going to do them. We can require  
6 across-the-board changes in labeling and packaging,  
7 and things under certain circumstances, but we will  
8 not innovate in the way that we're talking about.  
9 The innovation through trialing was done for a  
10 labeling change with an indication, a claim if you  
11 will.

12 DR. MENDELSON: And the labeling change will  
13 have to be strong enough to displace competitors.

14 DR. GREEN: Or the other reason they're done  
15 is because there's a requirement for an F1  
16 packaging with a certain class of drug or group of  
17 drugs. It can also be further discussed as  
18 alternative to capitalizing and not throwing the  
19 baby out with the bathwater in terms of the Poison  
20 Prevention Act of 1970 and the established criteria  
21 for the different levels of the -- the application  
22 of the Act and the grading of the different

1 interventions or mediums put into place.

2 Another technology that we've been  
3 evaluating with over-the-counter products -- and I  
4 know that there's not many liquid products within  
5 the opioid space, but the flow restrictors that  
6 have been put on the over-the-counter single  
7 ingredient in acetaminophen products and doing a  
8 lot of work in that evaluation, there might be some  
9 lessons learned there that we could apply then to  
10 the solids in terms of even like a flow-restrictor  
11 type as dispenser. The CDC PROTECT initiatives has  
12 done a lot of work on that that could share that  
13 knowledge, that might be applicable here as well.

14 DR. BERTRAM: Thank you. We're going to go  
15 on to question 5. As we know, depending on the  
16 technology and intended use, et cetera, these  
17 technologies may be either -- how they're  
18 distributed. It could be a stand-alone device or a  
19 stand-alone entity, combination products or a drug  
20 device, or simply just container closure.

21 Looking to incentivize as well as promote  
22 consistency, what does the panel think regarding

1 some of the benefits or the challenges of treating  
2 these products? Different? Consistent? Choose  
3 one that you think is best, and what can the agency  
4 do to ensure the consistency as well? Liz?

5 MS. WHALLEY BUONO: So my mind goes,  
6 obviously, to electronic monitoring. And the  
7 experience that we've had is that manufacturers are  
8 reluctant to do things like a Good Start program,  
9 if you will, which was one of the concepts that has  
10 been bandied about for a couple of years, where  
11 particularly expensive drugs are launched in an  
12 RFID fitted blister package such that the first  
13 couple months of therapy, patients can be  
14 monitored. Doctors or pharmacists can have a  
15 conversation with the patients about their  
16 adherence, their lack of adherence, side effects,  
17 things like that. The concept is that you get them  
18 off to a good start taking their medication  
19 correctly.

20 The resistance to uptake has been a lack of  
21 clarity as to whether these concepts would be  
22 devices or container closures. And without clear

1 understanding of that, manufacturers are reluctant  
2 to invest and put the product in market for risk of  
3 enforcement activity or that sort of thing.

4           The flip side is for them to be devices.  
5 And obviously there's a greater cost to bringing  
6 them to market, so it's a Catch-22. If it were up  
7 to me, it would be a risk calculation. So when  
8 you're talking about functional packaging like  
9 electronic monitoring, you're then talking about  
10 analyzing the data, providing adherence patterns,  
11 and encouraging a counseling moment. I'm not sure  
12 that rises to the level of diagnostic imaging. I  
13 think it's a risk calculation, and it's really the  
14 agency's to make in my mind.

15           DR. BERTRAM: Just to push you a little  
16 further regarding the cost, is it just the  
17 classification as a device that incur a cost, like  
18 the part 4 obligations with manufacturing?

19           MS. WHALLEY BUONO: It's kind of all of it.  
20 It's making sure you have sufficient data. It's  
21 the submission process. It's waiting for the  
22 approval. It's the manufacturing conditions under

1       which the devices have to be manufactured versus  
2       the container closures.  So it sort of  
3       incrementally adds up to a completely different  
4       project, and within the pharmaceutical  
5       manufacturers, that's a big deal as to whether  
6       you're simply changing packaging or you're making a  
7       combination product.

8                 DR. BERTRAM:  Ms. Dorgan?

9                 MS. DORGAN:  Hi.  Carolyn Dorgan, FDA.  One  
10       point of clarification for that, I think you're  
11       assessing the cost associated with being either a  
12       device or a combination product versus container  
13       closure, not necessarily going under the NDA.  I  
14       think some of the requirements you would need for a  
15       device or combination product would be quite  
16       similar as far as cost and type of data required.

17                Am I correct in saying that?

18                MS. WHALLEY BUONO:  I think that's right,  
19       and I think some of this also is just a fear factor  
20       associated with do we really want to add a device  
21       our drug when we're really just looking at trying  
22       to change the packaging.  So it's kind of a little

1 of all of that.

2 MS. DORGAN: And I guess I'll follow up on  
3 that and say, are there things the agency can do to  
4 reduce that fear factor?

5 MS. WHALLEY BUONO: Well, I think engaging  
6 with the FDA early and often is a good idea, but  
7 that's not always appealing. Things that we know  
8 that we're familiar with, like RFID-fitted blisters  
9 and MEMSCaps, I think probably there's a comfort  
10 level with the years of experience with these  
11 things, that perhaps there's an opportunity to set  
12 some ranges and issue some guidance and decrease  
13 barriers to entry.

14 But I can't speak on the part of the  
15 manufacturers. I can just tell you what we've  
16 heard in engaging with customers who invest an  
17 awful lot of resource into trying to develop these  
18 projects, and then dump them because they're  
19 concerned and they can't get it through their  
20 regulatory group.

21 DR. BERTRAM: Thank you.

22 DR. CHAN: Can I ask a clarifying or a

1 follow-up to that? If I'm hearing you correctly,  
2 you're saying there's this concern, maybe a lack of  
3 clarity around when do I get kicked into the device  
4 realm. Right? At what point do I cross that line?  
5 So I'm hesitant to go there. And perhaps this is  
6 why you expressed -earlier the position you did  
7 with regards to labeling claims because if I now  
8 want to say in my label that this packaging does  
9 something, then what I'm saying it achieves may be  
10 what kicks me into the device realm.

11 Is that what I'm hearing? Is that this  
12 double-edge sword here?

13 MS. WHALLEY BUONO: I don't want to speak  
14 for the manufacturers, but I've never heard a  
15 manufacturer tell me that they want to communicate  
16 a claim around the impact of the packaging. They  
17 just want the impact of the packaging.

18 DR. CHAN: So then if no information goes in  
19 the labeling in that example that speaks to that  
20 particular technology or whatever it is, then  
21 how -- I guess I'm wondering how you envision  
22 manufacturers then being able to go and let's say

1 promote on something like that?

2 DR. HERTZ: But I do just want to say that  
3 from our experience at the division level, we have  
4 had folks come in wanting a claim and wanting to be  
5 able to promote on it for a variety of ways or  
6 considerations that are relevant here.

7 MS. WHALLEY BUONO: That's why I said I  
8 really don't want to speak for the whole industry.  
9 Just the experience that I've had is that I haven't  
10 heard the manufacturers feel that there is enough  
11 of a competitive advantage that will increase their  
12 market share, if their product is in this package,  
13 to sort of justify seeking a claim. They just want  
14 the patients to take the medication as intended so  
15 it works better.

16 DR. BERTRAM: Mr. Webb?

17 MR. WEBB: Kevin Webb, Mallinckrodt  
18 Pharmaceuticals. If that's the question that we're  
19 trying to solve, I think we need a different  
20 workshop for that because you obviously have  
21 branded pharmaceutical manufacturers and generics.  
22 You have long-acting. You have immediate release.

1 Those packaging and those claims, there are  
2 some -- to your point, Sharon -- that do want to  
3 make claims regarding the fact that this packaging  
4 does something; the generic manufacturers don't.  
5 So really it depends on where it is that we want to  
6 insert ourselves into the dialogue.

7 To that point, where do we want to insert  
8 ourselves? The other question we need to ask  
9 ourselves is if we're going down the path that  
10 we're going to have some 7-day unit of use package,  
11 as a manufacturer, that's a little bit more complex  
12 than to say that we're going to continue to move a  
13 100-count or 500-count bottle down the line, and  
14 then the pharmacist puts it into some type of a  
15 7-day packaging. There are a lot of good devices  
16 out there.

17 So the question is going to need to be for  
18 the manufacturer, are you looking to the  
19 manufacturer to come up with a 7-day unit of use  
20 bottle with a lock of whatever it may be, or is the  
21 lock going to be attached at the retail pharmacy,  
22 and it's going to be put in some kind of bottle?

1           Those questions haven't been asked, so I  
2 think we still obviously need to think through the  
3 supply chain. But if they're looking to the  
4 manufacturer to do it -- and I think this goes to  
5 your earlier question -- are we comfortable with  
6 the devices that are already on the market?

7           It depends. It depends on the details and  
8 what's being asked, or do we just need to scrap  
9 everything and go back and say we need a bottle  
10 that holds no more than 7 tablets that's going to  
11 have to have certain type of locking capabilities  
12 on it? I don't know if that technology exists or  
13 not. So we would really have to do a lot more  
14 homework on it, but the devil's going to be in the  
15 details on that.

16           MR. RAULERSON: Thanks. And keying on that,  
17 since we only have a couple of minutes, I want to  
18 skip question 6 and go to question 7, which is, do  
19 the panelists think that FDA's existing authorities  
20 are appropriate to achieve the goals we are trying  
21 to achieve here, to incentivize and properly  
22 regulate these products, and if necessary, to

1 mandate them, including throughout the supply  
2 chain?

3 As sort of a brain teaser here, one option  
4 would be to seek additional authorities from  
5 Congress if we thought they were necessary. So all  
6 of the things being equal, we have  
7 container-closure rules, we have NDA rules,  
8 benefits have to outweigh risks, we have REMS, we  
9 have device regulation, are those existing  
10 authorities adequate or do you have thoughts on  
11 that topic?

12 MR. WEBB: Kevin Webb, Mallinckrodt. I  
13 think the ability to influence this through REMS  
14 obviously you have, but I think it's only going to  
15 get us halfway towards the solution. If we're  
16 looking at having an industry or an entire  
17 stakeholder engaged solution on this, I think it  
18 would require Congress to authorize you to do the  
19 things that you want to do. But I think in the  
20 environment that we're in, you would find probably  
21 an audience willing to have that dialogue or having  
22 that discussion.

1 MR. RAULERSON: Dr. Berghahn?

2 MR. BERGHAHN: Walt Berghahn, HCPC. It  
3 would seem that if you're talking about solutions  
4 that are created in the industry by manufacturers  
5 and by contract packages that are FDA regulated,  
6 then the answer is yes. But if you're looking at  
7 solutions that are going to be implemented in  
8 pharmacy, then obviously no.

9 MS. WHALLEY BUONO: I'll just add when  
10 you're looking at retail versus  
11 manufacturer -- because we've worked in both  
12 spaces -- the problem obviously with retail is it's  
13 not ubiquitous, and it's a highly competitive  
14 market. And pharmacy is governed by state-by-state  
15 regulations.

16 So I can't envision how you could have a  
17 mandate that crosses across all states and requires  
18 these hyper-competitive retail environments to do  
19 the same thing because they hate doing the same  
20 thing. They want to do everything different. So  
21 that just seems like that's so far out there, it's  
22 something that we probably couldn't achieve.

1 DR. BERTRAM: Thank you. I think with that,  
2 we can turn it to -- you made it in time, so turn  
3 it to our public comment period.

4 **Audience Participation**

5 MR. RAULERSON: Right. So with that said,  
6 any audience members that wish to speak should go  
7 ahead and line up behind the microphone. There's  
8 staff, and we're available to help you. We ask  
9 that you focus your comments on the session's  
10 topic. And I'm going to review the procedure,  
11 which we previously announced for audience  
12 participation.

13 You'll get 3 minutes to provide comments.  
14 The light system will keep time and notify you when  
15 your time's complete. And the light system works  
16 just like a traffic signal. Green means go,  
17 continue speaking; yellow, you have one minute  
18 left; and red blinking light means to go ahead and  
19 wind up. And just as a reminder, any additional  
20 comments and information may be submitted up until  
21 February 12, 2018 to the docket established for  
22 this workshop.

1           With that, can the first speaker please go  
2 ahead and come up? Thanks.

3           MR. GOODLOE: Hello. Peter Goodloe again.  
4 I'm an attorney with Brownstein Hyatt. I'm most  
5 interested in question 7, certainly the legal  
6 matter. There's a need to -- and again, I'm  
7 talking about lockable prescription vials. And I'm  
8 not talking after market; I'm talking about at the  
9 pharmacy. So it was already said that that can't  
10 be regulated. We're collecting data. Somewhere  
11 down the road we may have enough where FDA maybe  
12 wants to act, and then we reached at question 7,  
13 can act.

14           There are pilot programs going on around the  
15 country. Pharmacists voluntarily participate.  
16 They're educated about it. Patients are willing to  
17 take the lockable prescription vials. They receive  
18 some educational information, and then later on  
19 they're surveyed and teens in the homes are  
20 surveyed.

21           Let's say we get to a point where FDA  
22 thinks, well maybe it would be a good idea, at

1 least in some situations, if pharmacists offer a  
2 lockable prescription vial, not mandated but just  
3 offer it. So where are we? We've talked about  
4 REMS. My impression is that FDA is directly  
5 regulating the manufacturer and that the  
6 manufacturer turns around and enters into  
7 contractual relationships with pharmacies, a  
8 restricted distribution program for example.

9 So if the pharmacist violates it, has the  
10 pharmacist merely violated a contract with the  
11 manufacturer or has it violated the Federal, Food,  
12 Drug and Cosmetic Act?

13 Now, if you use your container-closure  
14 authorities, then you're talking about an FDCA  
15 violation. And bear in mind that the Act does  
16 regulate the pharmacies; 503B deals with  
17 prescription drugs. And it says you can't dispense  
18 a prescription drug without a prescription or it's  
19 an FDCA prohibited act. That's on the pharmacists.  
20 The Act goes on to require certain packaging  
21 requirements, so the Act's packaging requirements  
22 do apply at the pharmacy level.

1           As you know, in 1982, FDA implemented OTC  
2 requirements. That's on the manufacturer, but that  
3 was done under current good manufacturing practice  
4 authorities. And I don't see anything in 503B  
5 indicating that the CGMP requirements do not apply  
6 at the pharmacy level. So could you do at the  
7 pharmacy level here what you did for OTC back in  
8 1982? And again, we want to be careful with any  
9 kind of mandates, but Dr. Gottlieb did say it may  
10 be time to get aggressive, even intrusive. Thank  
11 you.

12           MR. SULLIVAN: Hi. My name is John  
13 Sullivan. I'm the CEO of a company called Marjo,  
14 and we're actually developing an electronic blister  
15 pack monitor with artificial intelligence. The  
16 blister pack industry, especially electronics, have  
17 been around. The patents go back as early as the  
18 1970s.

19           The difference with technology today is that  
20 we can actually put a liquid crystal display on the  
21 monitor with a countdown time or that tells you  
22 when you can have your next pill. And if you take

1 that pill 2 hours early, we add 2 hours to the next  
2 pill. But more importantly, we are able to record  
3 the consumption data, and that's the most important  
4 part because the first slide of the day was 21 to  
5 29 percent of patients are using their bulk  
6 prescriptions. So out of 214 million  
7 prescriptions, that's 44 to 66 million Americans  
8 abusing opiates. So if we add that to the  
9 stockpile that people are using, which is  
10 11.5 million, that's between 55 and 75 million  
11 Americans abusing these drugs.

12 So this epidemic is not going to go away if  
13 we don't know the consumption. And this is a very  
14 important discussion because if you don't know the  
15 consumption, you don't know what's going on.

16 So with a hundred percent take-back program,  
17 that monitor has to come back. Now, that's a  
18 reusable monitor. They reuse it over and over and  
19 over again, so it produces a cost of the overall  
20 program. But what I need from the FDA is that  
21 whatever the pill touches requires a  
22 child-resistant lock. And it's very difficult to

1 put a child-resistant lock on a blister pack.

2 Now, we can put that in a box, but I'm not  
3 comfortable with the box because a kid can still  
4 tear a box up. We're working on a child-resistant  
5 bag that this goes into. So the labeling part, the  
6 label that would typically go on the bottle will go  
7 on this blister pack. That gets thrown away at the  
8 end of the 30 days, and the monitor's taken off and  
9 reused.

10 So we need to have some sort of fast track  
11 on placing this blister pack in a child-resistant  
12 bag. All the instructions that you typically are  
13 printing out now, they get stapled to a white paper  
14 bag that they throw away immediately when they get  
15 home will now go inside of this bag, and the  
16 monitor's in the bag. The bag's got a  
17 child-resistant lock. The kid can't get in the  
18 bag.

19 So the important part of the overall program  
20 is that if you know consumption, you know addiction  
21 because you can look at somebody's behavior  
22 patterns and see that they're taking more and more

1 and more. And the other part of this scoring  
2 program is that at the end of the prescription you  
3 get scored a pass and fail. Fail is that you  
4 didn't return the monitor or you didn't take the  
5 opioids when you were prescribed, you took too  
6 many.

7 If you got a pass, no conversation. If you  
8 got a fail, a therapist is called to have a  
9 conversation, because a lot of these doctors have  
10 never been trained to look at addiction or know  
11 addiction. So the therapist interacts with the  
12 doctor and the patient when they fail their score.  
13 And that's very important because the early-stage  
14 treatment is key. Once it's a late-term addiction,  
15 it's too late.

16 So thank you, and I appreciate this  
17 opportunity.

18 MR. LANGLEY: Nathan Langley with Safer Lock  
19 again. I heard some comments about if we find some  
20 sort of better packaging that we might want to get  
21 rid of everything or the current packaging that's  
22 been used. I think current packaging might be

1 sufficient for certain populations, so we might not  
2 need to exchange all opioid packaging, and it might  
3 make sense to identify what population that we need  
4 to change the packaging for.

5           So we might not be looking to exchange all  
6 opioid packaging if we -- not we. You guys  
7 determine if this is a sufficient solution. For  
8 example, maybe 30-day prescriptions have a higher  
9 rate of diversion, so maybe that has a higher level  
10 form of packaging versus maybe a 3-day to a 7-day  
11 prescription that maybe somebody there doesn't have  
12 much diversion with that. Because there's a lower  
13 amount of pills, somebody might not want to go take  
14 them because they will notice.

15           This is all speculative, but just something  
16 to consider. Maybe we might not looking for a  
17 solution for all opioid packaging but specific  
18 populations.

19           MS. HOBOY: Hi. Thanks again for the  
20 opportunity. It's Selin Hoboy with Stericycle. I  
21 just wanted to mention -- and having been -- and  
22 all of you guys are in highly regulated fields as

1 well. On the waste side, we're regulated by a very  
2 weird myriad of different regulations. And one of  
3 the things that I heard from I think both sides of  
4 the table here is that you have to have some room  
5 for innovation, but we also need some guidance  
6 around it because I think when you don't have  
7 guidance or some type of mandate, then you are  
8 worried about where that innovation can lead you.

9 That's actually happening on the DEA side of  
10 things on the disposal side of things for us as an  
11 industry right now -- and the gentleman spoke  
12 earlier -- and that indecisiveness that's in the  
13 regulation on the DEA side has kind of stifled  
14 innovation a little bit because people are worried  
15 about, well, what if that product doesn't create  
16 what the DEA intended to when they wrote their  
17 regulation and put in a specific definition.

18 So I would just caution that maybe instead  
19 of saying there's no mandate or there is a  
20 mandate, talk about what it is that you would need  
21 to have in a mandate or a guidance that would get  
22 enough comfort level for that innovation to be able

1 to get out of the gate, because we're seeing that  
2 on the other side right now, on the disposal side.  
3 So that would be my recommendation as part of this,  
4 too. Thank you.

5 MR. RAULERSON: Thank you. I think that  
6 concludes Session 3. Thanks.

7 DR. CHAN: We're just a few minutes ahead of  
8 time, but we're going to go ahead and take a 15-  
9 minute break. We will resume -- we actually just  
10 say we'll go ahead and resume at 3:00, promptly  
11 though. So if you could please make sure you're  
12 back in the room at 3:00. Thank you.

13 (Whereupon, at 2:40 p.m. a recess was  
14 taken.)

15 **Session 4 Presentation - Kayla Cierniak**

16 DR. CIERNIAK: All right. Hi, everyone. My  
17 name is Kayla Cierniak, and I'm an ORISE Fellow  
18 here to introduce Session 4. My objectives are to  
19 walk through an ideal model of the medication use  
20 system that applies to healthcare settings in the  
21 United States, including key stakeholders and  
22 technologies. Although there are many variations

1 in the real world, I will be focusing on outpatient  
2 and inpatient settings.

3 As such, my discussion does not entail a  
4 comprehensive evaluation of all healthcare settings  
5 possible, including long-term care and hospice. I  
6 will identify how packaging, storage, and disposal  
7 options may integrate into existing systems through  
8 the use of examples.

9 The first example is a theoretical  
10 outpatient product, oxycodone tablets in a calendar  
11 blister pack for enhanced opioid safety. The  
12 second example will apply to inpatient scenarios.  
13 This is a "tamper-resistant" or "tamper-evident"  
14 hydromorphone syringe for prevention of diversion  
15 by healthcare providers in the inpatient setting.

16 Here is the basic framework of the  
17 medication use system which comes from the  
18 Institute of Medicine, the Joint Commission, and  
19 the California Health Foundation. This system is  
20 based upon the continuum of four steps: number 1, a  
21 prescription is written by a healthcare provider  
22 followed by order transcribing; number 2, the

1 prescription is prepared and dispensed through a  
2 pharmacy; number 3, the drug is administered to the  
3 patient; and number 4, there is monitoring for  
4 therapeutic and adverse effects.

5           There are two precursor steps shown in the  
6 upper left-hand portion of the slide, selection and  
7 procuring and storage. These steps set the stage  
8 for the process by establishing formularies and  
9 distribution chains.

10           We all know that processes within this use  
11 system are exceedingly complex requiring numerous  
12 handoffs and facing regulations in the full  
13 spectrum of healthcare settings. Some of the  
14 example stakeholders that are involved, which I may  
15 also refer to as users, include patients,  
16 providers, pharmacists, payers, regulators,  
17 manufacturers, distributors, policymakers, and  
18 other organizations.

19           So how might stakeholders who are healthcare  
20 providers learn about these new options? Here I  
21 list some examples of existing systems or platforms  
22 that might be evaluated for delivering this

1 educational content.

2           For example, continuing education is  
3 required of practitioners to maintain their  
4 license. Learning management systems are employed  
5 by larger organizations such as hospitals in order  
6 to deliver timely educational updates to their  
7 employees. Clinical decision support systems  
8 assist clinicians with decision-making tasks, and  
9 as we have mentioned earlier today, REMS portals  
10 may also be a vehicle.

11           Moving into our walk through the medication  
12 use system, I will begin with a discussion of our  
13 two precursor steps. Selection and procuring  
14 involves the formulary, which is a list of  
15 preferred drugs that a certain payer will cover.  
16 Formularies are designed to restrict the listing of  
17 drugs for cost-savings purposes.

18           On the smaller scale, local P&T committees,  
19 or pharmacy and therapeutics committees, make these  
20 decisions at the level of individual healthcare  
21 organizations. These are interdisciplinary teams  
22 of clinicians, including administrators and

1 pharmacists. On a larger scale, there are pharmacy  
2 benefits managers and third-party payers.

3 Note that some payers have more restrictive  
4 or closed formularies as is the case with the  
5 Veterans Health Administration. Of note, there may  
6 be a difference in the cost of implementation  
7 between closed systems and more open formularies  
8 such as the Centers for Medicare and Medicaid.

9 Once a formulary has been established,  
10 pharmacists and clinics must operationalize new  
11 purchasing, receiving, and storage workflows.  
12 Accrediting bodies and institutional policies might  
13 require strict specifications for, number 1,  
14 security of the medication; number 2, protecting  
15 handlers against accidental exposure; and 3,  
16 maintaining the integrity of the product, which  
17 includes accurate expiration dating and temperature  
18 control.

19 While we're on this topic of storage, and as  
20 we have mentioned earlier today in our discussions,  
21 storage is going to be a unique challenge between  
22 all the steps in the medication use process as we

1 integrate these new options, including adjustments  
2 in pharmacy shelf space, transport, and even  
3 considering storage at the patient's home,  
4 depending on how large or bulky the option might  
5 be.

6 We will now move into the first step of the  
7 medication use system, prescribing and  
8 transcribing. There is first clinical decision-  
9 making here by the provider to initiate drug  
10 therapy. This occurs with evaluation of the  
11 patient, drug choice and regimen determination,  
12 documentation in the medical record, and the result  
13 may be a verbal, written, or electronic  
14 prescription.

15 For successful uptake of new options for  
16 enhanced opioid safety, it is very important they  
17 be supported by the electronic medical record, or  
18 EMR, and computerized physician order entry, or  
19 CPOE, which are applications that allow physicians  
20 to send electronic prescriptions. These may be  
21 sent to outpatient pharmacies, inpatient  
22 pharmacies, and across the spectrum of health care.

1           Challenges in the system at this point might  
2 include a lack of provider education regarding new  
3 products, and in this example I describe an  
4 outpatient physician who is attempting to order  
5 oxycodone. They may type "oxycodone" into the EMR  
6 and be provided with a list of options to choose  
7 from in a drop-down menu.

8           If the provider is unaware that oxycodone  
9 now comes in a blister pack for enhanced opioid  
10 safety, potentially associated with a new  
11 proprietary name they have not heard before, the  
12 provider might just glance past the option in the  
13 drop-down list and select what he or she is already  
14 familiar with.

15           Transcribing also occurs during this first  
16 step and is a process where a healthcare provider  
17 or staff member receives a prescription and must  
18 check if it's correct. The user who is  
19 transcribing here may be a number of different  
20 example users: pharmacists, nurses, or even unit  
21 clerks.

22           The prescription may then be entered into a

1 completely independent order management system and  
2 linked with a pharmacy information system. These  
3 provide a wide range of pharmacy-specific  
4 functions, including order entry, inventory,  
5 purchasing, reporting, clinical monitoring, and  
6 billing functions.

7 A potential vulnerability at this stage  
8 might be the receipt of an oral prescription by a  
9 user who is unfamiliar with the novel product and  
10 who must manually enter this order into the  
11 independent pharmacy system.

12 For example, a prescription is called into a  
13 pharmacy for oxycodone to be dispensed in this new  
14 calendar blister pack. If the user who takes that  
15 prescription is unaware that the option exists,  
16 they may simply write down "oxycodone tablets,"  
17 enter this into the pharmacy information system,  
18 and the pharmacist might end up dispensing the  
19 tablets versus the blister pack.

20 Although this is a similar challenge as that  
21 which I discussed with the prescriber, it presents  
22 the unique challenge of ensuring that not only

1       prescribers are aware of these new options, but a  
2       whole host of other potential users, including  
3       nurses, unit clerks, and others.

4               We will now move on to step 2. This is the  
5       preparing and dispensing. This step involves data  
6       entry and screening, preparation, double-check, and  
7       of course dispensing. The primary users here will  
8       be pharmacy personnel.

9               Regarding technologies, drug purchasing and  
10       supply-chain management systems allow pharmacy  
11       buyers to track inventory and to purchase  
12       accordingly. I also want to mention automated  
13       dispensing cabinets, which are shown in the photo  
14       below. These are drug storage cabinets that  
15       electronically dispense medication in a controlled  
16       fashion and are found in the inpatient setting.  
17       There is limited space in these cabinets, and drug  
18       storage compartments must be carefully designed.

19               One challenge in the integration of the  
20       example of the tamper-resistant hydromorphone  
21       syringe might be ensuring this product is not in  
22       the cabinet and becomes confused with another

1 hydromorphone syringe that is already on the  
2 market.

3           Shifting to our outpatient example, retail  
4 pharmacies must adjudicate insurance claims, which  
5 harness the technologies of payer prior  
6 authorization, payer medication therapy management,  
7 and prescription drug monitoring programs. At this  
8 step, certainly payer coverage might be a barrier.  
9 If a payer requires a prior authorization, for  
10 example, we must consider that prior authorization  
11 may require a day or two to process, which may  
12 result in an undesirable therapy delay for a  
13 patient who's prescribed a short-term course of  
14 opioids due to an acute injury and needs that  
15 prescription soon.

16           We will now move to step 3, administering.  
17 This occurs with the user checking the  
18 instructions, preparing the dose, and administering  
19 to the patient followed by documentation if in a  
20 healthcare setting. On the inpatient side,  
21 medications are recorded in the medication  
22 administration record, or MAR, which is ideally

1 integrated into the EMR.

2           There are also smart-pump infusion devices  
3 that have guard rails to help caregivers give IV  
4 medication at the appropriate rates. Bar-coded  
5 medication administration, as shown in the photo  
6 below, involves the scanning of the patient's  
7 identifier wristband and the unit-dose bar code  
8 into the MAR. Any new product that is purchased by  
9 an inpatient pharmacy will receive its own unique  
10 bar code or else an error will be generated at this  
11 step in the administration process.

12           If the nurse is unfamiliar with the new  
13 hydromorphone syringe, she may override this bar-  
14 code scanning step, or he, which is a workaround  
15 that may lead to medication errors. But  
16 considering that many of these potential options  
17 might be used in the outpatient setting, patients  
18 who are self-treating for chronic pain at home will  
19 not have this BCMA double-check. An available  
20 technology for these patients might include mobile  
21 medical applications or desktop software with  
22 reminders or information for the patient.

1           The fourth and final step is the follow-up  
2 and monitoring portion of the system. The patient  
3 will be assessed for therapeutic and adverse  
4 effects, providers will review lab results if  
5 necessary, and adjust therapy and document the  
6 encounter. Example of users include the providers  
7 and the patient.

8           The MAR will allow for monitoring inpatient,  
9 but for outpatient use, education and follow-up  
10 might be possible through patient portals and  
11 through REMS portals. In addition, many larger  
12 health systems offer a patient portal where  
13 patients can go online and view their electronic  
14 record anywhere they have internet access.  
15 Telemedicine also falls in this category, and this  
16 can be especially important when considering  
17 patients who live in the more remote areas with  
18 limited access to care.

19           A challenge that may be faced in the  
20 monitoring phase may be an example of a patient who  
21 is supposed to bring back their calendar blister  
22 pack to an office visit but forgets to bring it

1 back for the provider to check and see how many  
2 times they've needed to pop the blisters, to  
3 evaluate their pain control and make therapeutic  
4 adjustments accordingly.

5 Now that we have made our way completely  
6 through the medication use system, I would like to  
7 briefly step out and discuss self-care or over-the-  
8 counter care, which might not involve healthcare  
9 providers directly. It may be foreseeable that,  
10 unlike the two fictional example products I have  
11 used in this presentation, some of these options  
12 might be stand-alone products for over-the-counter  
13 purchase, examples ranging from the drug disposal  
14 pouches or locking cabinets for the safe storage of  
15 medication. Potential technologies that could help  
16 the user here may be the mobile medical  
17 applications as I mentioned earlier or patients  
18 might be referred to these products by a healthcare  
19 provider outside.

20 In summary, the medication use system is a  
21 very complex process involving many stakeholders  
22 and technology. Although this system encompasses

1 the full spectrum of health care, the focus of this  
2 brief presentation has been on outpatient and  
3 inpatient pharmacies, which can feature different  
4 processes and technologies. As such, unique  
5 challenges and uptake of these new options may be  
6 based at various points in the medication use  
7 system, depending on the setting.

8 I would like to thank you for your attention  
9 for Session 4, our last session of the day, and for  
10 the opportunity to speak. I will now turn back to  
11 Dr. Chan to begin the panel discussion.

12 (Applause.)

13 **Panel Discussion**

14 DR. CHAN: Thank you very much. So we have  
15 developed questions to guide the panel discussion  
16 for this session on the integration of options into  
17 the medication use system. We have eight primary  
18 questions that we would like to discuss over the  
19 next 60 minutes. As mentioned previously, again,  
20 Paul is going to be sitting next to me and  
21 assisting to ensure we get everyone's name in  
22 order.

1           Let's begin with the first question. In  
2 this session, we walked through how these options  
3 might be integrated into an existing healthcare  
4 system using the medication use system as a model  
5 for illustrating this. One of the challenges that  
6 was raised in the presentation was the concept that  
7 providers will need to be informed that these  
8 options actually exist and that it may not always  
9 be clear what intended problem, or problems, is the  
10 target for any given packaging, storage, or  
11 disposal option.

12           What we'd like to better understand is how  
13 the labeling could be written effectively to  
14 distinguish the problems that are targeted by  
15 different packaging, storage, and disposal options.  
16 Who would like to start? Dr. Budnitz?

17           DR. BUDNITZ: Dan Budnitz from CDC. I'm  
18 thinking back on something that we did when we were  
19 working on preventing unsupervised ingestions in  
20 kids, and we had new packaging technology flow  
21 restrictors that we wanted to describe. One of the  
22 things that we came up with in the end, after using

1 a bunch of different terminologies, is worked with  
2 USP to have some standardize terms to describe. We  
3 ended up with some built like a flow restrictor and  
4 restrictive delivery systems.

5 So I think one thing that could be applied  
6 is for writing effective labeling, coming up with a  
7 rubric of standardized terms for what you are  
8 wanting to refer to, then both the prescribers and  
9 also payers, or whoever else might be using this,  
10 would have these key words, and everyone would be  
11 on the same page so to speak when they're done.

12 DR. CHAN: Ms. Cowan?

13 MS. COWAN: Penney Cowan, American Chronic  
14 Pain Association. Again, I'm going to go back to  
15 the ability for people to understand what's written  
16 in the label, that it needs to be written in a  
17 language -- a level that folks can easily read.  
18 But I also think on some of these things, it would  
19 be really helpful to have graphics to go along with  
20 it.

21 Pictures tell a thousand words. So while  
22 they may not understand certain terms, they can

1 understand pictures. And I understand it will be  
2 hard to get the right graphic, but we've done a lot  
3 of graphical pools. And if you work, you can get  
4 them. But I think language that's understandable,  
5 I would say at a fifth grade level, and then having  
6 a graphic or picture to help people understand what  
7 they're really using.

8 DR. HERTZ: I would just follow that up. We  
9 have a pretty good idea that the actual package  
10 insert doesn't get a lot of attention, and that's  
11 unfortunate since it's one of our primary modes of  
12 communication.

13 What I'd also like to know is not just how  
14 could labeling be written effectively, but how do  
15 we get that to the attention of pharmacists,  
16 prescriber, patient? Do you have any thoughts or  
17 tactics to try and improve that delivery?

18 DR. CHAN: Ms. Whalley Buono?

19 MS. WHALLEY BUONO: Liz Whalley Buono. The  
20 work that we've done in pharmacy, what we have seen  
21 is most of the major retail pharmacies actually  
22 print out new patient information, sometimes

1 branded.

2 DR. HERTZ: But clearly that's not often the  
3 material that we've written and tested.

4 MS. WHALLEY BUONO: Right. But then what  
5 we've seen is actually they've started to cease  
6 doing that and printed off of one of the databases  
7 online. The only reason I raise that is that I  
8 think there's an opportunity to be looking at the  
9 information that's available online, which right or  
10 wrong or indifferent, it's increasingly being used  
11 as the main source of retail data pharmacy patient  
12 information.

13 DR. HERTZ: Where does the data in that  
14 database come from, and how do we merge that with  
15 specifically trying to improve awareness here?

16 MS. WHALLEY BUONO: My understanding,  
17 although I'd need to dig a little deeper on it, is  
18 that those are somewhat privately maintained  
19 databases that licenses are --

20 DR. HERTZ: Wouldn't that just add another  
21 source of variability? What we're trying to do is  
22 figure how to consistently deliver messaging.

1 MS. WHALLEY BUONO: It's an opportunity  
2 perhaps to -- I think we have to work with what's  
3 going on. So if that's indeed what's going on,  
4 maybe there's an opportunity to work with those  
5 entities to standardize that and increase use of  
6 iconography.

7 DR. HERTZ: There's already standard  
8 language. It's called Medication Guide for  
9 Opioids, so there's no need for any kind of  
10 database, right?

11 MS. WHALLEY BUONO: I'm not advocating for  
12 it. I'm just telling you what we've seen in  
13 retail.

14 DR. HERTZ: I just would like to know how to  
15 do that, if that's going to be --

16 DR. CHAN: Dr. Walsh I think had a thought  
17 around this.

18 DR. WALSH: I'm just wondering whether or  
19 not there's been any discussion around smart  
20 technologies because we're using smartphones for  
21 all kinds of reminders in regular life, and people  
22 spend a tremendous amount of time on their phones,

1 but I'm not aware that there -- and in health as  
2 well. But I'm not aware that there's been any  
3 discussion around that for instruction sets, say,  
4 around specific packaging.

5 I know that not everybody has one, and I  
6 know there's is a couple of different platforms but  
7 I would guess that you could probably meet almost  
8 the majority of patients through that technology  
9 through apps.

10 DR. CHAN: Before I take the next comment,  
11 just so you are aware, Dr. Slatko spoke to the  
12 recent approval of a technology where in fact  
13 instructions are available electronically and  
14 accessed through, for example, the patient's  
15 smartphone. So I think that's kind of the  
16 direction you're going there in terms of access.

17 DR. WALSH: Yes. I must have missed that,  
18 and I apologize. Is it a passive? I mean, is it  
19 something that the patient has to do to get it, or  
20 is it something where they're poking you?

21 DR. HERTZ: Right now, it does require some  
22 cooperation from the patient. They have to wear

1 a --

2 DR. WALSH: You want to poke them.

3 DR. HERTZ: -- sensor patch.

4 DR. CHAN: I think Ms. Cowan had another  
5 comment to follow-up.

6 MS. COWAN: Well, we heard earlier that  
7 dispensing an opioid, instead of saying do you want  
8 a consult with the pharmacist to make it mandatory,  
9 and that would be where that conversation could go,  
10 where they pull out the medication guide and  
11 actually talk to them. If it were more appealing  
12 to look at color, a graphic, it wouldn't be as  
13 threatening to them, and they may actually catch  
14 on. And people would actually be more willing to  
15 look at something like that.

16 But to make the consultations mandatory  
17 instead of, no, I don't want to do it -- I mean, I  
18 don't want to talk to them either because there are  
19 other people around. But I think it would be  
20 really important for looking -- it's about saving  
21 lives here, and I think that is worth it.

22 DR. CHAN: Dr. Bosworth?

1 DR. BOSWORTH: Just building upon that,  
2 those of you who have children or even thinking  
3 about yourself, how do you learn? We all have  
4 different modes of learning, so whether it's  
5 reading or with oral, I just think that if you  
6 really want to move the dial with regards to  
7 education, I gather that you have your pamphlets,  
8 but that's just not really practical if you really  
9 want to.

10 There are a lot of companies out there  
11 producing things. Whether it's worth looking at  
12 it, that's up to you all. But I think that if you  
13 really want to address this issue regarding  
14 education and conveying that information, I would  
15 recommend looking out.

16 The other issue, too, is reinforcement, too.  
17 Just because you have one contact with a pharmacist  
18 doesn't mean that that's adequate, and oftentimes,  
19 most patients have questions further down the road.  
20 If they're at home, what are they going to do? Are  
21 they supposed to have that pamphlet? They're going  
22 to pull it out. That's going to answer the

1 question.

2           So just really thinking about the journey,  
3 that's what we talk about, the patient's journey,  
4 and thinking about alternative ways of  
5 communicating that and allowing them access could  
6 have a huge opportunity. So I get the legal  
7 aspects of it, but just thinking a little bit  
8 beyond what's available at the moment would help.

9           DR. CHAN: Thank you. Yes?

10           MS. WHALLEY BUONO: Liz Whalley Buono. You  
11 asked how do we get providers to recognize that  
12 there are these packaging variants out there, and  
13 how do we educate them. We have customers who have  
14 products out in adherence packaging with  
15 complicated dosing regimen or titrated dosing  
16 regimen and things like that. And we've worked  
17 with them to create educational campaigns for their  
18 sales reps.

19           So as part of the discussions the sales reps  
20 have with the physicians, they call the packaging  
21 type to the physician's attention and sort of help  
22 train the physician and the nurses on how to train

1 the patients on how to use the packaging. It's not  
2 magic. It's calling attention to the purpose of  
3 the package, and the blister layout, and that sort  
4 of thing.

5 One of the routes we might want to be  
6 considering is as the manufacturers are having  
7 conversations with their customers, the physicians,  
8 the packaging variant could be part of that.

9 DR. CHAN: Thank you. Dr. Bix?

10 DR. BIX: This is Laura Bix from Michigan  
11 State University. We've done several eye tracking  
12 studies with a variety of different products, and  
13 for a long time, it perplexed me immensely that the  
14 vast majority of consumers wouldn't turn to the  
15 drug facts label when they were making decisions.  
16 Then one day I was watching my 18-year-old take  
17 some aspirin, and it occurred to me he doesn't need  
18 to look at the drugs facts label. He has no  
19 allergies. He takes nothing. He has no  
20 conditions. He knows what he needs.

21 So enumerating the number of people that  
22 look at that information wasn't enough. And it

1 occurred to me that it's contextually dependent and  
2 patient dependent on what information is relevant,  
3 and that maybe we're in an era where we're looking  
4 at customized medicine. We're looking at it on the  
5 drug side of things; we're looking at it on the  
6 device side of things. Maybe it's time for  
7 customized packaging and labeling as well. And the  
8 use of artificial intelligence I think offers the  
9 opportunity to push the relevant information given  
10 the context and given the patient.

11 Now maybe at the consumer level we're not  
12 ready for it, but it seems to me that in the  
13 institutional markets and in the pharmacy there  
14 might be an opportunity to do that, where the  
15 extraneous information can fall away, and the  
16 important information rises to the top, depending  
17 on the patient and the context of use.

18 DR. CHAN: Yes, Dr. Cox?

19 DR. COX: I think I'll just follow up on  
20 that. That's a great idea. It reminds me of  
21 something we've done with the anticipatory guidance  
22 sheets in our pediatric practice. These were the

1 multiple sheets that we used to hand families about  
2 their 6-month old. Your baby's learning to crawl,  
3 or your baby will learn to babble. Here's your  
4 poison control information. Here's your  
5 information on [inaudible - coughing]. It got to  
6 be this whole thing.

7 We discovered if we presented them a menu of  
8 these are the things we could tell you about, which  
9 ones are relevant for you today, which ones would  
10 you like to defer you've seen, then we didn't have  
11 all these multicolored sheets scattered around our  
12 waiting room and throughout the hospital.

13 So I think this idea of contextualizing,  
14 both with the information we already have as a  
15 delivery system, but also allowing them to pick and  
16 choose; oh, we see you have children. You might be  
17 interested in this. There are ways to tier the  
18 information there.

19 DR. CHAN: I have a follow-up question to  
20 that because today we've been talking about the use  
21 of packaging to convey critical messaging, being  
22 able to use that extra space potentially, depending

1 on how the package is designed. So I guess when  
2 you ask a patient what is it that you need, it sort  
3 of goes back to the I don't know what I don't know  
4 sort of question. So we're talking about -- there  
5 have been earlier conversations about patients who  
6 may not perceive themselves to be part of the  
7 solution to the problem, and so on and so forth.

8 Okay, great. This is already generating  
9 some interest.

10 DR. BIX: I guess my response to that would  
11 be you're right, that they don't know, and they'll  
12 tell you a lot of different things than they  
13 actually do. So I would make it dependent on the  
14 EHR as opposed to the patient to drive it. So the  
15 artificial intelligence needs to be driven I think  
16 by the conditions, maybe by the patient history, by  
17 certain facts in their record, not what they think  
18 they need.

19 MS. MORGAN: And I'll just follow up and say  
20 I agree completely, but I think if they don't  
21 understand why it's relevant for them, they're not  
22 going to look at it, and they're going to stop

1 reading. So it's a mix of those two strategies.

2 DR. CHAN: Yes, Mr. Webb?

3 MR. WEBB: Kevin Webb, Mallinckrodt. I  
4 think it goes to the heart of the question of what  
5 exactly is it we're trying to solve for. If the  
6 question on the table is how do we prevent  
7 diversion as opposed to how do we prevent  
8 accidental exposure or conversely disposal, or  
9 accidental exposure with children -- if the  
10 question is how do we prevent diversion, I would  
11 tailor the messaging to that. But if we're trying  
12 to do everything with all the messaging, trying to  
13 address all of these, we're going to accomplish  
14 none of it.

15 Putting back on my old sales rep's hat,  
16 going back many, many years ago, it took an average  
17 of 8 to 12 calls in a physician to change their  
18 prescribing behavior. So just because you say it  
19 once, you're going to say this for a year before  
20 they change, and that's assuming you see this  
21 physician once a month.

22 So we have to make sure that the message is

1 simple, the message is succinct, something  
2 tangible, because the question always comes back  
3 from the physician, "So what do you want me to do?"  
4 And if I can tell the physician, "Doctor, this is  
5 what I need you to do help prevent diversion; it's  
6 required that every patient who comes through, you  
7 have to talk about X," that gives you a whole lot  
8 more running room to say you need to do all these  
9 things to prevent opioid misuse.

10 DR. CHAN: I think we've actually answered  
11 questions 1 and 2, so maybe we'll move on to 3  
12 here, which is we've talked about how do we inform  
13 the providers, let them know these options exist,  
14 presumably methods of education there, but how do  
15 we get healthcare providers to then adopt?

16 This is the tricky part, right? Earlier in  
17 Session 3, obviously if we were to move towards a  
18 model -- I'm not saying that we would, but if you  
19 were to move to a model where it's uniformly  
20 adopted, everyone's creating this, then we don't  
21 have this question on the table. But in a scenario  
22 where you have some options out there and some

1 without, for example, how are providers going to be  
2 encouraged to adopt these?

3 Who'd like to start there? Dr. Budnitz?

4 DR. BUDNITZ: This Dan Budnitz, CDC.

5 Conceptually, I think it's to make it easy, to  
6 order the products [inaudible -coughing] for the  
7 packaging. I'll tell you what we tried to do in  
8 one example to prevent child overdoses, and I don't  
9 know if it's worked yet, but that is trying to  
10 encourage use of milliliter prescribing instead of  
11 teaspoons.

12 To make it easy, we worked with the 2015 EHR  
13 certification standards for electronic health  
14 records, which I think there's been a delay in  
15 whether they'll be implemented or not. But the  
16 concept was that you would present -- and this got  
17 approved in the standard, that all prescriptions  
18 with milliliter dosing already embedded in it. So  
19 the prescriber would have to do something different  
20 if they did not want a liquid oral medication to be  
21 dosed in milliliters. So it made it harder for  
22 them to do otherwise.

1           The analogy here might be if a certain  
2 packaging was preferred, to have that as the  
3 default or higher up in the presentation of the EHR  
4 or have it indicated in some other way, like  
5 highlighted or something like that, again, to make  
6 things easier rather than harder so that  
7 prescribers don't have to do anything different or  
8 extra but have to do less.

9           DR. CHAN: Dr. Patel?

10          DR. PATEL: I'm Ashesh Patel, ACP. I would  
11 follow on with that. You can have an EMR prompt.  
12 A doctor prescribes a certain version of oxycodone,  
13 but then you can have a prompt from the EMR saying  
14 there's a safer version. Do you really want the  
15 old version or do you want this new safer version?  
16 That's kind of going back to what he was saying.

17          Also, many doctors probably will not  
18 prescribe a prescription if it's very costly to our  
19 patients. Our patients are very cost sensitive to  
20 co-pays and deductibles. So obviously if there's a  
21 cheaper version available, when the patient goes to  
22 a pharmacy with this new safer prescription, but

1 then they realize it's costly, they're just going  
2 to call the doctor back and say I know there's a  
3 cheaper version. I want the cheaper version.

4 DR. CHAN: Dr. Emmendorfer?

5 DR. EMMENDORFER: On the disposal, we have  
6 real-world experience with that piece. The way  
7 that we had the take-back program take off is  
8 remove the financial barriers. So we provide those  
9 envelopes and those on-site receptacles.

10 Obviously, the envelopes are free of charge to the  
11 veterans. So that's one way to definitely engage  
12 not only the veteran but the healthcare providers.

13 It's the selling point, the marketing around  
14 it. Not only are you removing it from the medicine  
15 cabinet, and the accidental overdoses, and the  
16 potential diversion, but there's an environmental  
17 impact as well because they're destroyed. And the  
18 medicines that are returned are destroyed in an  
19 environmentally friendly way.

20 As far as packaging and storage, maybe just  
21 to feed off a little bit of what was just said,  
22 assuming cost neutral, it's probably not that big

1 of a deal when you can drive it through the  
2 electronic health record. Depending on the cost  
3 benefit analysis and the available evidence showing  
4 what the packaging is saying that it will do, I  
5 think that can come into play as well.

6 So there will need to be -- if it's going to  
7 be 10 times the cost, there's probably going to be  
8 some sort of discussion around what's the evidence  
9 of what the packaging is actually doing that it  
10 says it's going to do.

11 DR. HERTZ: Before we go on, a few folks on  
12 the panel have been very quiet, but I know for a  
13 fact that some of you have strong opinions about  
14 some of the things that we as an agency do. If  
15 you're holding back because you think it's  
16 critical, unless you're only holding back because  
17 you think someone else has said it, I would just  
18 like to encourage everyone to take the opportunity  
19 to let us know what you think.

20 DR. CHAN: Okay.

21 (Laughter.)

22 DR. CHAN: Ms. Morgan?

1 MS. MORGAN: A lot of this has to do  
2 with -- there are specific questions up here, and I  
3 certainly don't want to be not having those  
4 specific questions addressed. But you have allowed  
5 a door to be open to talk about general comments on  
6 everything that is being done this afternoon.  
7 Sharon Morgan, American Nurses Association.

8 So does building a better mousetrap lower  
9 the amount of mice in the room? That is my first  
10 question and my first take-away as we are dealing  
11 with this whole thing. Simply because we have a  
12 better mousetrap, have we addressed the problem  
13 that the better mousetrap is intending? And I  
14 think that's two big things. You can have a better  
15 mousetrap, but does it make it more effective? Do  
16 we minimize what we intended to do?

17 Have we now added a burden to the consumer  
18 in building this better mousetrap, and what is the  
19 financial stake for building the better mousetrap  
20 to the consumer? And will it prevent someone  
21 getting effective management through the use of  
22 opioids with this new mousetrap? Is it financially

1 not feasible now?

2 So these are nuances that as we're  
3 continuing these conversations, I'd like to make  
4 sure that we're sensitive to. And then when we are  
5 looking at things, not just packaging but storage  
6 and disposal, the human factor, is there a cost  
7 benefit analysis that could be done if we  
8 introduced someone interacting with the ultimate  
9 consumer of that medicine, two days later, to  
10 address effectiveness of the medication: how much  
11 is left, and how is the intent for disposal;  
12 whether this is done face to face, which allows  
13 access into a home environment where other issues  
14 could be raised, or via telemedicine approach,  
15 which allows for more outreach in a rural setting?

16 Then who is your consumer? An 85-year-old  
17 who has just had hip surgery is not going to have  
18 the same needs as a 25-year-old who just had knee  
19 surgery; so keeping all these in mind when we're  
20 talking about packaging and storage and disposal,  
21 just being sensitive to these other elements.

22 DR. CHAN: Thank you. Dr. Rao-Patel?

1 DR. RAO-PATEL: Just to sort of piggyback on  
2 that comment, I think that's a great analogy. I  
3 like the mousetrap analogy because I think creating  
4 a more expensive, fancier packaging solution or  
5 storage solution may not necessarily bring things  
6 down to the basics, which is educated judicious  
7 prescribing by providers, physicians, mid-levels,  
8 et cetera.

9 So it really boils down to whether  
10 physicians are making appropriate choices in the  
11 amounts of medications they're prescribing and the  
12 indications for which they're prescribing them,  
13 because again, the cost is important, and that  
14 translates not just to patients who are paying  
15 their monthly premiums who are on opioids, but to  
16 patients who are not on opioids as well. That  
17 translates into their bottom line and their monthly  
18 premium cost as well.

19 DR. HERTZ: So I think we all recognize that  
20 this is going to have to be multifactorial. Trying  
21 to address the prescribing side is being worked on  
22 in many spheres, but really here we're focusing on

1 another element. And cost has come up a few times,  
2 and I'm wondering while the packaging may have a  
3 finite cost associated with it that doesn't  
4 currently exist for products, how do you factor in  
5 the downstream costs of the accidental overdose,  
6 the sneaking of doses from the medicine cabinet by  
7 the -- I always call them stupid college kids.

8 (Laughter.)

9 DR. MENDELSON: Smart college kids do it,  
10 too.

11 DR. HERTZ: Isn't there a cost there, and  
12 shouldn't that also be factored in? There's the  
13 cost to the patient, but there's also the cost to  
14 the insurance company. And I think the insurance  
15 company is more of the limiting factor  
16 here -- payers, not the insurance company; I should  
17 say payers in general.

18 So how do you look at all of that  
19 information to figure out what the actual costs  
20 are?

21 DR. CHAN: Dr. Emmendorfer?

22 DR. EMMENDORFER: So being a healthcare

1 provider and not a law and order professional, I  
2 think part of the thing that I think maybe -- I  
3 don't know if others struggle with this, we call it  
4 third parties, and we'll call it diversion. But  
5 when you really get down to it, it's an illegal  
6 behavior that you want to study that you're trying  
7 to prevent.

8 I don't know how to design -- that's also  
9 part of the problem, too, because you have the real  
10 issue of accidental overdoses that you can prevent  
11 with the children, but when you start to look at  
12 the one realm that packaging may be able to help  
13 with, which is diversion, I don't know how a  
14 healthcare system to design that study to try to  
15 detect behavior that people are willingly -- or  
16 they're trying to hide it for a reason.

17 So I think that's part of the issue that  
18 maybe I don't know if others around the table  
19 struggle with. I don't know how you quantify that  
20 into the cost or how do you find that evidence.

21 DR. CHAN: Dr. Kelman?

22 DR. KELMAN: Doing a safety benefit analysis

1 is hard enough. Doing a cost benefit analysis on  
2 this is much harder. And the question is how much  
3 money, if any, you save downstream, and who does it  
4 accrue to? I don't think this enough data to make  
5 [inaudible].

6 DR. CHAN: Let's move on to question 4.  
7 With question 4, we want to discuss strategies to  
8 reduce barriers and encourage patient use of the  
9 packaging, storage, and disposal options to enhance  
10 opioid safety. We've talked a lot today and  
11 skirted around this issue of -- some of these  
12 aren't really necessarily directed to the patient  
13 or they may not recognize they have a role to play  
14 here.

15 When you consider that, what are going to be  
16 the strategies we need to think about to really get  
17 patient acceptance in use of these? We've heard a  
18 little bit about this. I think part of it is  
19 coming down to helping patients understand why  
20 they're getting it. That's part of the training  
21 perhaps initially or education that happens.

22 What other strategies or what other ideas do

1 we have to consider here? Who would like to begin?

2 Yes, Ms. Whalley Buono?

3 MS. WHALLEY BUONO: Liz Whalley Buono. I'll  
4 just refer to the model that's already been rolled  
5 out to retain pharmacy, and that was the Walmart  
6 Adherence Program. And that program was started  
7 originally, really not focused on adherence but  
8 focused on leveraging large-count purchasing power  
9 to deliver a \$4 generic market space to the  
10 industry.

11 It was only after the packages went into  
12 market that I think the retailers started to see an  
13 adherence improvement. And unfortunately, when the  
14 packages were first rolled out, they weren't  
15 accompanied by a patient or pharmacy education  
16 program, so you had that initial resistance to  
17 change. People were used to getting their vial,  
18 and they got something completely new, and they  
19 weren't sure how to open it, and they didn't refer  
20 to the calendar. And we learned a lot through  
21 engagement.

22 So fast forward a year. The retailer

1 launched a wholesome pharmacy and patient education  
2 program, which really amounted to a little more  
3 than a piece of paper that explained the packaging  
4 and some time for pharmacy counseling, and the  
5 acceptance rate skyrocketed. So now over a billion  
6 patients have received drugs in the adherence  
7 calendar blister packaging, and all the studies  
8 have been done to show that there's a clear ROI,  
9 and that patients learned how to use it. And once  
10 it wasn't, quote/unquote, "new and familiar," it  
11 was more broadly accepted.

12 From that case study, if you will, the  
13 patient engagement and the pharmacy engagement is  
14 really key to the acceptance of the packaging.  
15 Then of course, packaging evolved over time from  
16 what we learned from consumer feedback.

17 DR. CHAN: Yes, Mr. Webb?

18 MR. WEBB: Kevin Webb, Mallinckrodt. I  
19 think there are two things that I would like to  
20 advance as far as new strategies. Some of this is  
21 going to collaboration with your partners over the  
22 DEA, but we're learning this on the addiction

1 treatment front, that we cannot arrest our way out  
2 of an addiction problem, but we treat drug  
3 take-backs still as a law enforcement solution.  
4 The drug boxes, the take-back boxes are in the  
5 police stations. Some pharmacies have it. The  
6 very communities that we're trying to get drugs out  
7 of are often the individuals that don't want to go  
8 into a police station.

9           So how do we take the take-back initiative  
10 to where patients and families reside? Could we do  
11 fire stations? Can we do something else, whether  
12 it's a community-focused initiative -- drug  
13 take-backs, they don't happen often enough. They  
14 only happen twice a year. I applaud the DEA for  
15 doing it, but the challenge is they're not top of  
16 mind, but it's a concept people readily identify  
17 with. So if you could make them more frequent, but  
18 then also bring them further out into the  
19 community, I think that would be helpful.

20           The other thing I think we need to also look  
21 at is at milestones. Disposal of opioids is top of  
22 mind, meaning the death of someone in the family, a

1 college student coming to school or leaving to go  
2 home, someone selling their home. Like for  
3 example, an obituary, if it says make donations to  
4 the American Red Cross, as a drug seeker, I know  
5 that there are opioids in that home, and I'm going  
6 to visit that home when the viewing is taking  
7 place.

8           So if we work with realtors, if we work with  
9 the newspapers that do obituaries, if we work with  
10 the school programs to look at what is the  
11 point -- for example, college students, a high  
12 amount of diversion of opioids takes place, and  
13 it's even the ABC drugs. But if someone's now  
14 packing to go home for the summer, could that now  
15 be an intervention point where the school inserts  
16 themselves into -- if you're cleaning out your dorm  
17 room, there needs to be a message about drug  
18 disposal. If someone's selling their home, the  
19 realtors should be engaged.

20           So there can be leaders pulling together all  
21 of these different types of community organizations  
22 to really help become champions as far as getting

1 drugs out of the homes when there's thinking about  
2 it.

3 DR. CHAN: Dr. Rao-Patel?

4 DR. RAO-PATEL: Anu Rao-Patel, Blue Cross  
5 Blue Shield. Just to your point, I think Blue  
6 Cross Blue Shield Association, as well as our North  
7 Carolina plan and several of our sister plans, have  
8 partnered on a national level with Walgreens  
9 pharmacy for these drug take-back kiosks.

10 Within our North Carolina plan, recognizing  
11 that not everybody would want to go into a law  
12 enforcement office to drop off their unused  
13 prescriptions and opioids, and especially since  
14 North Carolina has four of the top cities in the  
15 nation to have opioid abuse problems, we have,  
16 again, partnered with Walgreens to co-brand drug  
17 take-back kiosk boxes and have located about 22 so  
18 far and are looking to do anywhere from an  
19 additional 20 to 50 boxes across the state,  
20 especially in high-risk areas and areas that have  
21 already been identified within the state for high  
22 use of opioids.

1 I think that's another possibility, is  
2 collaborative stakeholder partnerships such as that  
3 so that we can get the drugs off the street.

4 DR. CHAN: Ms. Cowan?

5 MS. COWAN: Get a hero. And the reason I  
6 say get a hero is that kids are going to listen to  
7 the people they really admire, whether it be on  
8 social media, on PSAs, or something, to give those  
9 messages, to distribute those messages. Even to  
10 adults, they have sport heroes, somebody that  
11 they're going to listen to. You could do the  
12 public service announcements. You can put them on  
13 Twitter and you can put them on their Facebook.  
14 That's how messages start.

15 So maybe we're looking at the wrong people  
16 to deliver these messages. They're not the wrong  
17 people, but they're not the ones they're going to  
18 listen to. They're going to listen to the people  
19 that they admire that they look on their Facebook.  
20 So I just think maybe we need to look at a  
21 different way of giving the message.

22 DR. CHAN: Dr. Bateman?

1 DR. BATEMAN: I think there's a real need to  
2 raise awareness of the alternatives for disposal of  
3 opioids. I think returning them to a police  
4 station or to the take-back box is perhaps the most  
5 environmentally consciousness way of disposing of  
6 excess opioids, but it requires a certain  
7 activation on the part of the patients.

8 I understand if the FDA says if you can't do  
9 that, then you can dispose of the opioids in the  
10 trash or with unpalatable substances, or even flush  
11 them. I don't think that is widely known by  
12 patients and even healthcare providers, so raising  
13 the awareness of those alternative practices I  
14 think could be quite effective.

15 I think there's also a need to have  
16 uniformity across the federal agencies. The EPA  
17 has opioids on the list of medications not to be  
18 flushed, and the FDA says they can be flushed, and  
19 I think there's some confusion.

20 DR. CHAN: I think we'll go ahead to the  
21 next question, which is, is there a way that we can  
22 implement these actions in a way that enhances

1 safety without adversely affecting patient access,  
2 and how might that be accomplished? We've talked a  
3 lot about the access issue today, that we need to  
4 make sure patients can still use their drugs.  
5 Multiple people have voiced these concerns, and yet  
6 we're talking about options that will be designed  
7 specifically, in some cases, to keep certain people  
8 out of the drugs.

9 So how do you balance that? Can they  
10 actually be implemented in a way that allows for  
11 that?

12 (No response.)

13 DR. CHAN: It's a tough question, yes. Mr.  
14 Webb?

15 MR. WEBB: Kevin Webb, Mallinckrodt. You  
16 have to allow patients an opportunity to opt out.  
17 It's counter to what we've been discussing, but  
18 it's not going to be the right option for all  
19 patients. There may be dexterity issues. There  
20 may be some just caregiving issues. But even the  
21 most basic format of a blister pack configuration,  
22 some people just can't do that, so we have to be

1 sensitive to that.

2 If we're creating fear in people, if we make  
3 it so difficult, they're not going to be able to  
4 get to their medication. We just have to make sure  
5 to ease that concern so that they know that they  
6 still have some options as well.

7 DR. CHAN: As a follow-up to that then, I'm  
8 going to bring this conversation back around  
9 because although we know that sometimes there are  
10 overlaps in these problems, we started the day  
11 walking through different problems and looking at  
12 them a little bit more distinctly.

13 When we think through, for example, the four  
14 problems that we teed up at the beginning of the  
15 day, within each of those problems, are there  
16 areas, for example, where you would say, you know  
17 what, in this particular case, it might be  
18 reasonable to consider going with an all or nothing  
19 option. In other words, we should do this across  
20 the board. And then in other areas for other  
21 problems, we might say, no, you really need to keep  
22 multiple options on the market, including what

1 currently exists as one option, and then have these  
2 additional options so that providers can choose and  
3 select the appropriate patients or circumstances  
4 for which they would fit.

5 So I'd like to throw that out there as a  
6 follow-up.

7 MR. WEBB: Yes. I think that if we're going  
8 to accomplish the results, which we obviously as a  
9 panel recognize there's an immediate need to do  
10 that, the going in proposition has to be that this  
11 is what we need to do. It's an all-in scenario.  
12 However, there are always mitigating factors to the  
13 situation.

14 So maybe it's a situation for a blister pack  
15 or a 7-day unit-of-use configuration, but there's  
16 also the availability, if it's the present judgment  
17 of the pharmacist, that they allow that patient to  
18 have an alternative packaging, but there's some  
19 other safeguard. At that point, then maybe it's  
20 you give them 7 days in a bottle, but this is  
21 required. You have to walk through the disposal  
22 initiatives, or you give them a pouch, or you give

1 something where there's some other safeguard that  
2 you're building into it so that you're still  
3 accomplishing the objective, but it's not a  
4 situation where you just have now an adherence  
5 issue or patients just won't take their medication.

6 DR. CHAN: Yes, Ms. Whalley Buono?

7 MS. WHALLEY BUONO: Liz Whalley Buono. I'll  
8 play devil's advocate, and I'll say that my initial  
9 inclination is to say no. I think that the real  
10 problem with this disease state, if you will, is  
11 that it doesn't have a face. It crosses every  
12 barrier within our society. So I would imagine  
13 that it would be virtually impossible for a  
14 physician to look at a patient and adjudicate  
15 whether that patient is someone who is going to be  
16 prone to having diversion in their house, or be  
17 prone to misusing the medication.

18 So I would say the trick here is to roll it  
19 out across the board, but make sure it's not so  
20 cumbersome that it harms patients; that it  
21 shouldn't.

22 DR. CHAN: Ms. Cowan?

1 MS. COWAN: I don't know that this is a  
2 solution, but I think one of the accesses to care  
3 is the cost of all of this that we're talking  
4 about, that we can't pass it on to the patient. We  
5 can't pass on new costs to them. So many people  
6 these days are on fixed incomes or whatever that  
7 that would be a real problem, working two and three  
8 jobs because they can't work a full-time job  
9 because of their pain. So I think we just have to  
10 be mindful of the cost, which is definitely going  
11 to impact the access to care for that person with  
12 pain.

13 DR. CHAN: Dr. Twillman?

14 DR. TWILLMAN: Bob Twillman, Academy of  
15 Integrative Pain Medicine. I'm thinking along the  
16 same lines. The economics of this is really I  
17 think what's a very important driver. And we're  
18 almost getting to the point where we're talking  
19 about a perverse situation where a patient has to  
20 have prior authorization to get a cheaper product,  
21 which would really stand things on their head a  
22 little bit.

1           I think that it stands even to the point of  
2 thinking that there are multiple options in the  
3 marketplace where some products are going to have  
4 these features and others are not. I think the  
5 reality from an economic standpoint is that it's  
6 more likely to be pretty much all or nothing. So I  
7 think you need to seriously think about the  
8 economics of all of this.

9           DR. CHAN: Let's go ahead and move forward  
10 to question 6. Let's go a little bit into  
11 practical workflow considerations because that was  
12 touched on in the presentation. If we're  
13 envisioning a future scenario where these are the  
14 options that are out there, potentially, or these  
15 are being approved, cleared, whatever, marketed,  
16 what are going to be those practical obstacles in  
17 terms of integrating into the healthcare system  
18 when it comes to the workflows that exist, and are  
19 they going to differ between open versus closed  
20 healthcare systems, and in what way?

21           I think Dr. Emmendorfer, you already had  
22 your hand up.

1 DR. EMMENDORFER: Just one, and actually it  
2 was brought up by you guys earlier. If you choose  
3 a packaging solution, what's the right number of  
4 quantity of tablets or pills? I think you're going  
5 to find a wide variable right now, especially if  
6 you started looking -- I know dentistry was used as  
7 an example or the emergency departments. I think  
8 that's going to be something that needs to be  
9 thought about and looked at pretty hard as to what  
10 that right number would be so you're promoting the  
11 most amount of prescribing to that quantity.

12 DR. CHAN: If I can just follow up, in this  
13 scenario, we've been talking a lot about certain  
14 indications may require a certain quantity or  
15 warrant certain quantities, but that could be a  
16 wide range. We've seen that already in the  
17 surgical studies, that even within the same  
18 procedures, those amounts have varied.

19 So when we think about that, it may not be  
20 realistic to assume any manufacturer is going to  
21 create 50 blister packages in different quantities;  
22 I mean, the enormity of even just shelving anything

1       like that, but also just everything that goes into  
2       that, then you have risks for medication errors,  
3       selection errors, and so on and so forth.

4               So as we're thinking about this, I'm curious  
5       then, is the thought -- again, this builds a little  
6       bit on the previous conversation with the idea that  
7       not everyone may not need a specific option. Then  
8       is it the idea that you need a couple of options  
9       with your standard, so to speak, that allows you  
10       that flexibility that we keep circling around here?  
11       But then, how do we marry that with the challenges  
12       that were just raised around this idea of the  
13       economic considerations and the fact that you don't  
14       want these prior authorizations to get the cheaper  
15       alternative, and the idea that it does need to be  
16       all or nothing.

17               We're sort of hearing two things here. All  
18       or nothing means you don't necessarily have that  
19       flexibility for all of these scenarios in terms of  
20       treatment of the patient that could arise. So I'd  
21       like to throw that back out. Yes, Ms. Morgan?

22               MS. MORGAN: It was something that was

1 raised earlier about the idea of doing the best  
2 risk assessment prior that may help drive. So  
3 maybe what it comes down to is an algorithm set-up  
4 where you go through a list of questions that talk  
5 to the patient's need for the medication, home  
6 environment, and other risks that may be involved,  
7 length of treatment, that will then drive to a set  
8 of packaging, storage, and disposal options of  
9 which it will at least provide the best scenario to  
10 minimize the risk because you're not going to be  
11 able to take the risk away.

12 So can we do the information gathering up  
13 front that will then allow this to be done in an  
14 algorithm setting, and to really minimize it for  
15 the providers as well because the burdens that are  
16 now being placed on providers to be able to get  
17 critical medicines included in this we need  
18 [inaudible]. So can this be done with an algorithm  
19 setting so we do as much of prevention ahead of  
20 time to minimize the risk?

21 DR. CHAN: I'm starting to hear a little bit  
22 of wandering into the creation of new tools,

1 collecting additional data up front, which I think  
2 we definitely need to keep some of those in mind  
3 for tomorrow's discussion.

4 I think as I hear you say that, what I'm  
5 hearing is the creation of a tool that allows you  
6 to identify who actually needs this option because  
7 it seems like what we're still revolving around is  
8 this concept that not everyone needs the option.  
9 So again, the idea of an all or nothing then  
10 creates this economic impact, if you will,  
11 potential economic impact of someone's paying for  
12 these even when they're not needed.

13 So again, there are these tensions that I'm  
14 hearing in the conversation. In what you just  
15 described then, what I'm hearing -- and I want to  
16 make sure I'm clear -- is that you're still saying  
17 we would need several options that include not  
18 having a particular packaging, storage, or disposal  
19 technology attached to it. Is that correct?

20 MS. MORGAN: Yes.

21 DR. CHAN: Any thoughts or opposing  
22 viewpoints around that?

1 (No response.)

2 DR. CHAN: So let's move on then to the next  
3 question. This is the sticky one. So someone will  
4 be paying for this. We've heard varying viewpoints  
5 on who that needs to be or who that doesn't need to  
6 be. But ultimately what's going to be -- okay.  
7 We've already got someone itching to go here.

8 DR. KELMAN: [Inaudible - off mic] decide  
9 how and when to pay under different systems, some  
10 regulatory, some scientific, some [inaudible]  
11 space. Evidence of efficacy is always a strong  
12 point that balances out [inaudible]. You can pay  
13 for a cheaper or more expensive product, you have  
14 reason -- it's a criteria for prior authorization.  
15 But we haven't addressed that.

16 I think having the tools is a very good  
17 idea, but it's not yet [inaudible]; it doesn't  
18 exist. So for payers to pay, it would be much more  
19 of a downstream than we are now. From a payer  
20 point of view, it's a lot simpler if there are only  
21 these products on the market. If you have mixed  
22 products on the market, and it's done in a pharmacy

1 box, the pharmacy, it may not be paid at all,  
2 depending on what the insurance is going to do.

3 So there are too many questions for you to  
4 answer this.

5 DR. CHAN: But I think that's extremely  
6 helpful to help us parse those questions down. We  
7 ask a very broad question, but help us understand  
8 what are all those considerations. What are all  
9 those sub-questions to help us think through this  
10 as we think about implementation? Dr. Emmendorfer?

11 DR. EMMENDORFER: In the VA, our formulary  
12 system is based off the safety and efficacy, and it  
13 runs through a national committee. I would just  
14 like to say one thing because it was kind of  
15 mentioned earlier on. I don't know that it's a  
16 formulary issue for us because if you look at 2016,  
17 we spent \$1.2 billion on 2,286 drugs that are not  
18 listed on our formulary. So for us, it's about  
19 ensuring that our veterans have the drugs that are  
20 available to them based on their medical necessity.

21 Also, from a formulary perspective, we are  
22 dosage-form specific. We would not go down to

1 package specific. But it's safety and efficacy,  
2 and then I think there would be a pretty strong  
3 conversation -- it's an unknown right now, but you  
4 would start assuming that all the products are on  
5 the market are safe and effective, and then how  
6 much more value is this package adding to the  
7 overall system. We need to have a discussion  
8 within the committees.

9 DR. CHAN: So I guess as a follow-up to that  
10 then, if it were somehow reflected in the label,  
11 and the indication, for example, was for a  
12 particular scenario, because of the addition of  
13 this, whether it's a packaging, storage, or  
14 disposal option, looking at this where it might be  
15 a container closure or whatever else, then if  
16 that's reflected in the indication -- I guess I'm  
17 trying to understand where in the labeling does it  
18 potentially carry more weight, or does that make  
19 any difference.

20 DR. KELMAN: The indication may not be on  
21 the prescription. The FDA [inaudible - off mic]  
22 status is particularly some success. We assume

1 that every drug that gets on the market is safe and  
2 effective. Just because you say it's safe and  
3 effective [inaudible]. Unless you have relative  
4 safe and effective information on the label,  
5 [inaudible]. It gets complicated to argue that one  
6 drug is less effective or more effective than the  
7 other.

8 DR. CHAN: Any follow-up comments to that?  
9 Yes, Dr. Mendelson?

10 DR. MENDELSON: I think the good news is  
11 that there's consolidation of the pharmacy benefit  
12 management field, and the bad news is there's  
13 consolidation in that field. So you're basically  
14 going to deal with monopolies, two or three  
15 monopolies eventually. Every time one payer wins,  
16 someone else loses. So I think you have to be  
17 cognizant of those factors as you go forward.

18 Those of us who've been working with trying  
19 to get on insurance plans for various novel health  
20 treatments, it's quite a show. The word "payer"  
21 should be expanded to like about 300 or 400  
22 different entities. Will these be behavioral

1 health payments or traditional medical health  
2 payments, Or will they be pharmacy? Who gets the  
3 benefit from the payment of insurance?

4 Doc Twillman [indiscernible] over there  
5 knows quite well. It's really a complicated area.  
6 You're wading into a very deep pool with a lot of  
7 currents in it.

8 DR. CHAN: So let's move on to our next  
9 question, question number 8, which is, could cost  
10 benefit analyses for packaging, storage, and  
11 disposal options to enhance opioid safety differ  
12 depending on the problems they're seeking to  
13 target?

14 Yes, go ahead, Dr. Ciccarone.

15 DR. CICCARONE: The answer to that one is  
16 easy. So the answer is yes. Dan Ciccarone, UCSF.  
17 At minimum, if you're thinking about problems,  
18 morbidity and mortality are going to cost  
19 differently. That's the first level. I do think  
20 we could do cost benefit analyses. I don't think  
21 they would take a long time either. These could be  
22 done based on models. That's just my quick answer.

1 DR. CHAN: Yes, Dr. Izem?

2 DR. IZEM: Can we follow up on that comment?  
3 We discussed earlier that the cost may not be to  
4 the person who is being prescribed the drug, but to  
5 the community. How would you cost that into a cost  
6 benefit analysis?

7 DR. CICCARONE: Societal cost benefit  
8 analysis is done all the time. The difference is,  
9 either from an academic point of view or a policy  
10 point of view, who's going to pay the cost. The  
11 insurance companies don't necessarily want to pay  
12 the cost.

13 If we're talking about at the FDA level  
14 considering a cost benefit analysis, what policies  
15 make the most sense. And then if the cost benefit  
16 analysis looks better -- when the safety option is  
17 brought in as a mandatory versus an optional, and  
18 what the uptake of the optional part would be, you  
19 just take a societal perspective, and then you  
20 impose upon the payers the answer.

21 I'm thinking sort of academically here. I'm  
22 not thinking in terms of the real world of who's

1 actually going to bear the cost. But that's why we  
2 do these things, is to try to help decide  
3 rationally, outside of any individual constituency,  
4 what is the benefit to society.

5 DR. CHAN: Dr. Bosworth?

6 DR. BOSWORTH: I can't pretend to be a  
7 health economist, but I know we've done a recent  
8 paper that was published in the American Journal of  
9 Managed Care where we did look at the cost benefit  
10 of blister packaging in the context of cholesterol  
11 within the VA population.

12 In doing this for 20 years, this is the  
13 first time I would actually say that this is  
14 something that seemed pretty cost beneficial in  
15 terms of -- now, what we didn't look at was what  
16 the cost would be to the manufacturer to re-change  
17 the whole process to do blister packaging, and we  
18 actually considered or put out there that the  
19 potential is when you transition from the vials to  
20 the blister packaging, you're talking pennies per  
21 month, relative.

22 I think that this is where research could be

1       beneficial. I think you could do simulation  
2       studies. I think that there are opportunities, but  
3       I think that the low-lying fruit in terms of some  
4       of these simple blister packagings could have some  
5       benefits. But I think that once you start putting  
6       the manufacturer costs, then you've got to work  
7       together because I don't know what those costs are  
8       going to be.

9               DR. CHAN: Dr. Staffa?

10              DR. STAFFA: Judy Staffa from FDA. A  
11       thought occurred to me, coming from what you were  
12       saying. It seems like there might be certain  
13       insurance that are bearing the cost for the  
14       patients, and they will also benefit from the  
15       benefit to those patients if they don't go on to  
16       misuse or have an issue with their opioids. But  
17       some of that benefit will be seen by the insurers  
18       of the family members, which may not be the same at  
19       all. For example, the family members won't be  
20       under VA or CMS or some of those payers.

21              So it's kind of an interesting model. You  
22       can see almost some bearing the costs and others

1       gaining the benefit.

2               DR. CHAN: We've got a couple here.

3       Dr. Bateman first.

4               DR. BATEMAN: I'm just thinking that it's  
5       going to be extraordinarily difficult to do a  
6       robust cost benefit analysis in the absence of  
7       really any efficacy data for the types of  
8       approaches that we're contemplating. You have to  
9       think about what the impact of blister pack usage  
10      is going to be on the downstream risk to society of  
11      overdose. I mean, we have no idea what that  
12      industry's going to be. Any type of model you  
13      would come up with would be really very, very  
14      speculative.

15              DR. CHAN: Dr. Twillman?

16              DR. TWILLMAN: Bob Twillman, Academy of  
17      Integrative Pain Medicine. It strikes me that this  
18      discussion is very much like a discussion we have  
19      about abuse-deterrent opioids. The question is who  
20      is going to benefit primarily? Here we have  
21      evidence that the patient for whom these products  
22      are prescribed is going to benefit. If that's the

1 case, then we can make the case for charging that  
2 patient a little bit more [inaudible]. If it's  
3 society as a whole that's going to benefit, then  
4 the case is that that's something that really  
5 should be spread across everyone who's insured by  
6 that particular insurer. That cost should not be  
7 borne by the patient.

8 I don't know how that plays out in real  
9 life, but philosophically it seems to me that's the  
10 question that we're talking about.

11 DR. CHAN: Is there another comment?

12 (No response.)

13 **Audience Participation**

14 DR. CHAN: All right. Well, thank you very  
15 much. At this time I think we're going to move  
16 into our audience participation session. So if  
17 folks could go ahead and line up behind the  
18 microphone if you wish to say something.

19 Again, similar to earlier in the day, we're  
20 going to ask that you focus comments on the topic.  
21 And I am actually not seeing anyone lining up. Oh,  
22 wait. We've got a taker.

1 MR. SULLIVAN: [Inaudible - off mic].

2 DR. CHAN: Well, we allocated a fair amount  
3 of time for this session, so I think we're going to  
4 take some flexibility here.

5 MR. SULLIVAN: Thank you again. This is  
6 John Sullivan. The company is Marjo, and we're  
7 working on an electronic blister pack monitor with  
8 artificial intelligence, so it's small. Basically,  
9 we're playing chess with the patient so that the  
10 patient is always in check with this monitor from  
11 the standpoint that the minute you remove a pill,  
12 it automatically activates a down counter that  
13 tells you when you can have your next one.

14 As you start to look at the counter -- it's  
15 important for people with memory loss, too, because  
16 they can't remember when they took the last one, so  
17 it prevents an overdose in that situation.

18 I've been hearing a lot of things about,  
19 well, who should have it and who shouldn't have it.  
20 The problem is that we have 11.5 million Americans  
21 that are using these drugs that don't have a  
22 prescription, so they're getting it from the bulk

1 that people leave in their medicine cabinets. We  
2 have 2500 children a day that are taking this drug  
3 for the first time, and they don't have a  
4 prescription.

5 So the important part is, is to get the bulk  
6 prescriptions off the market. So after they're  
7 expired, they have to return, and there are a lot  
8 of different options to do that. We could provide  
9 a return box. You put it in a box, you send it  
10 back to the box, and it goes to the DEA certified  
11 diversion facility that will destroy them, or in  
12 some cases you can return them to the pharmacist  
13 and they can do it.

14 I know there was some discussion about -- in  
15 Howard County where I'm from, we have the police  
16 station. You take them to the police station. And  
17 every time I've been, the thing's been packed. I  
18 had to come back in a couple days because there are  
19 so many people using it.

20 So it is working, but the key is that if you  
21 don't know the date and time and the behavior  
22 patterns of the consumption -- because that's

1 really the key of this whole blister pack thing  
2 working, is that it tells you a consumption  
3 pattern. And once you know the consumption  
4 pattern, you know if they're getting narrower and  
5 narrower with the addiction, and the craving is  
6 starting to have them take more and more opioids.  
7 And if you don't know that, you don't know if  
8 they're getting addicted.

9           But I think the behavioral change is that  
10 anyone that's got a teenager, you know if you leave  
11 them in your house a month or a week, things are  
12 going to be a disaster when you get home. So  
13 automatically, people change their behavior when  
14 they know they're being monitored, and that's an  
15 important part of this monitoring program is that  
16 it does change.

17           In 1969, the seat belt laws came in. We all  
18 complained about we don't want to put seat belts  
19 on, but it changed our behavior pattern over time  
20 because we realized how important seat belts were.  
21 So I see this product, a blister pack monitor, as  
22 seat belts on opioids, and you would never put a

1 child in a car without a seat belt. Even  
2 today -- I grew up in the '60s. We'd all ride in  
3 the back of a pickup truck to go get ice cream.  
4 Nobody thought a thing about it. We would never do  
5 that now with our kids. They always have to be in  
6 a car with a seat belt.

7 So I think that over time, people will  
8 realize the benefit, the fact that 4.7 percent of  
9 the world's population were consuming 80 percent of  
10 the world's opiates, this cannot continue in this  
11 manner.

12 We're losing the top shelf. In my  
13 neighborhood, there were five [indiscernible] kids.  
14 They were children of attorneys, doctors, lawyers.  
15 This was the next generation of Americans that were  
16 going to replace us. They're gone, and this  
17 epidemic wiped them out. So thank you.

18 DR. CHAN: Thank you. We have another  
19 speaker. Please introduce yourself.

20 MS. HOBOY: Thank you. Hi. Selin Hoboy  
21 with Stericycle again. I just wanted to make a  
22 comment about this cost benefit and trying to

1 figure it out. I think that maybe parceling some  
2 of these issues out in terms of packaging or  
3 storage or disposal and coming up with ideas and  
4 understanding where the costs are for those might  
5 be a more palatable way to approach this.

6 I think right now, packaging is one aspect  
7 of it, and it's a pretty big aspect of it. You  
8 have storage where it's going to be within the  
9 pharmacies and you have all these different doses.  
10 And then you have the disposal aspect of it, which  
11 whether it's from the home or at the pharmacy, or  
12 at the reverse distributor facility -- but they all  
13 have their own issues.

14 I would recommend for the committee to look  
15 at those issues separately when you're looking at  
16 cost because if you lump them all together -- I  
17 think someone said this earlier -- you're trying to  
18 eat the elephant, so maybe you can take a bite at a  
19 time. That would be my recommendation. Thank you.

20 **Summary and Closing Remarks**

21 DR. CHAN: Thank you very much. It looks  
22 like that's it in terms of public comments. So

1 we're actually quite ahead of schedule today. I do  
2 want to thank the panel members and our audience  
3 for a really productive day today. We've covered a  
4 lot of ground. I know we've thrown some tough  
5 questions at people, and some of them were broad  
6 and intentionally so. Some of them were broad  
7 because sometimes when you have such a difficult  
8 problem, it's hard even to know what's the right  
9 question to ask.

10 So you've given FDA certainly a lot of  
11 valuable information to consider, a lot that we're  
12 going to have to go back and digest. I mentioned  
13 earlier that tomorrow we get to do that deep dive  
14 into the data, and I know a lot of people have  
15 already triggered some of those conversations here  
16 in various settings. So we're going to want to  
17 make sure that we don't lose sight of any of those  
18 key ideas and that we're able to probe that  
19 tomorrow.

20 So with that, I want to thank  
21 you very much for coming here and being a part of  
22 this discussion, and we'll see you promptly

1 tomorrow at 8:30 in the morning. Have a great  
2 evening. Thank you very much.

3 (Applause.)

4 (Whereupon, at 4:20 p.m., the meeting was  
5 adjourned.)

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22