

1 U.S. FOOD AND DRUG ADMINISTRATION

2

3

4 FOSTERING DIGITAL HEALTH INNOVATION:

4

5 DEVELOPING THE SOFTWARE PRECERTIFICATION PROGRAM

5

6

PUBLIC WORKSHOP

7

8

Day 2

9

10

Wednesday, January 31, 2018

11

8:40 a.m.

12

13

14

National Institutes of Health (NIH) Campus

15

9000 Rockville Pike

16

Ruth L. Kirschstein Auditorium

17

Natcher Conference Center, Bldg. 45

18

Bethesda, MD 20892

19

20

21

22

Reported by: Casey Smith

A P P E A R A N C E S

1
2
3 BAKUL PATEL, Associate Center Director
4 Center for Devices and Radiological Health
5 MARISA CRUZ, Senior Medical Officer
6 Office of the Center Director
7 DAVID RITSCHER, Senior Consultant
8 Cambridge Consultants
9 SETH CARMODY, Ph.D., Cybersecurity Program Manager
10 Office of the Center Director
11 MORGAN REED, Executive Director
12 ACT | The App Association
13 TONY FARANESH, Research Scientist
14 FitBit
15 ESTHER BLEICHER, Senior Policy Advisor
16 Office of the Center Director
17 DAVID AMOR, Quality & Regulatory Expert
18 Pear Therapeutics
19 FRANCISCO (CISCO) VICENTY, Program Manager
20 Office of the Surveillance & Biometrics
21 IAN MCFARLAND, CTO and General Manager
22 Pear Therapeutics

A P P E A R A N C E S (Continued)

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

BERNHARD KAPPE, Founder & President

Orthogonal

DANNY BERNSTEIN, CEO & Founder

metaMe Health

HOWARD LOOK, CEO & Founder

Tidepool

MATTHEW KERR, Executive Recruiter

Abbott

ELISABETH GEORGE, VP of Global Government Affairs,

Standards & Regulations

Philips Healthcare

MEGAN CODER, Executive Director

Digital Therapeutics Alliance

ROXANE MODARES, Consumer Safety Officer

FDA

JOHN MURRAY, Software Compliance Expert

Office of Compliance

LAILA GURNEY, Head of Global Regulatory Affairs

GE Healthcare

A P P E A R A N C E S (Continued)

1
2
3 PATRICIA SHRADER, Senior Counsel
4 Hogan Lovells
5 ROB MCCRAY, President & CEO
6 Wireless-Life Sciences Alliance
7 KEITH REID, Group CFO
8 KJR Limited
9 SAMANTHA GOTTLIEB, Ph.D., Medical Anthropologist
10 University of California, Center for Science,
11 Technology, Medicine & Society
12 CHANTAL MCMAHON, Ph.D., Team Lead
13 Medtronic Diabetes
14 SYLVIA TRUJILLO, Senior Counsel
15 American Medical Association
16 JOHN BURCH
17 Angel Capital Association
18 JILL DEGRAFF, Partner, Healthcare Division
19 Manatt Phelps & Phillips, LLP
20 NANCY MYERS, President
21 Catalyst Healthcare Consulting
22

A P P E A R A N C E S (Continued)

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

JAKE NARDONE, Senior Regulatory Affairs Specialist

Dexcom

MICHELLE JUMP, Principal Regulatory Affairs Specialist

Stryker

GORDON KESSLER, General Counsel, Chief Administrative

Officer

AiCure

AGATA ANTHONY, Regulatory Affairs Executive

GE Healthcare

BOB ROGERS

BETH STEPHEN, Senior Regulatory Affairs Manager

Medtronic Diabetes

BRANDON ARBITER, Vice President, Product & Business

Development

Tidepool

SUSIE O'CONNOR

Boston Scientific

P R O C E E D I N G S

1
2 MR. PATEL: All right. So I guess as people
3 are settling in, thank you for yesterday. Thank
4 you for a great participation yesterday and thank
5 you for being engaged and still coming back for
6 punishment again. This has been a great
7 experience for me and I think -- I've talked to
8 many people here from FDA and from other
9 organizations and everybody seemed to have a
10 phenomenal experience and sort of the openness,
11 that sort of came up. I was telling somebody
12 earlier, this felt like our site visits. Day one
13 was all about setting the stage, understanding
14 what people are confused about and answering
15 questions and raising sort of things that people
16 care about and, you know, assumptions about the
17 program, some myths about the program and so on.
18 And day two was like, let's get in and try to roll
19 up our sleeves and solve what we're trying to
20 solve for. So, I feel we are there. But I want
21 to take a few minutes and make some observations
22 and what I heard from folks walking up to me and I

1 heard people talk about and some -- reflection on
2 some of the panels. So, give me a second as I
3 look at my notes to talk to that. So -- I should
4 probably use this piece of paper. So there are a
5 few things we started off the day and we relayed
6 the concept out and we said we are here in the
7 program -- in the very first part of the program.
8 So, the concept where we laid out, where we had
9 the cloud picture -- I wish we could sort of bring
10 that up again, but just imagine that in the
11 background. And we said we're in the very
12 beginning, understanding what an organization does
13 in excellence. That does not necessarily mean we
14 need to forget the rest of the ecosystem we're
15 trying to build. We're still trying to figure out
16 as part of being excellent in an organization what
17 does that mean for understanding real world
18 evidence. What does that mean to sort of be part
19 of -- you know, using that real world information
20 and data to be part -- to -- and leverage NEST and
21 the governments around NEST to sort of inform,
22 one, the regulatory structure and two, the

1 evidence creation that happens. That's one thing.
2 We should not forget while we're thinking about
3 today in our break-out sessions the part about not
4 every product needs -- should go to market without
5 review. There will be some products on the high
6 risk -- will still need to be reviewed. The
7 question we're having today in front of us and
8 you'll see sort of understanding excellences, like
9 how do you -- sort of, where is that line of what
10 products to review and where do we think as the
11 community is important for somebody else to review
12 other than the evidence that's been created by the
13 company itself. So think about that. Part two of
14 the review part -- question we're going to solve,
15 not -- maybe not today. But if you have ideas for
16 any and all of that above, please don't hesitate
17 to bring that up. Make sure that your moderators
18 in your groups actually know where the parking lot
19 poster is, the easel -- sticky pad that he can put
20 those thoughts on there because they're all -- all
21 going to be helpful for us because they're all
22 input. And now that we have you as a captive

1 audience, we will get that input from you now
2 rather than later. So do that, please do that
3 because that's important for us. I want to
4 emphasize that. So, don't just look for what --
5 what's happening today. Today is about diving
6 deeper, but also as thoughts come up, put those
7 thoughts up for us. I do want to -- I heard a
8 bunch of assumptions and questions and concerns
9 that sort of came up through all the panels. So,
10 panel 1 and 2, you saw the experiences. I wanted
11 you guys to have the same experiences --
12 experience of day one that the pilot participant
13 had. I'm hoping that you had that. So, the rest
14 of the panels, panels 3, 4 and 5 raise really
15 interesting concepts and it's not something that
16 we had taken very lightly. The concept of
17 clinical evaluation is not taken very lightly.
18 So, if folks are thinking that there's going to be
19 no clinical evaluation for digital health and
20 software, that's not true. The international
21 community put out a document and we just recognize
22 the final document, Form FDA, talking about when

1 Software as a Medical Device needs -- and what
2 does it mean to be clinically evaluated. That was
3 a concept that was very foreign for people in --
4 in the entire world and we have tried to clarify
5 that. Now, we went from, you know, maybe 50,000
6 feet to like 20,000 feet, but we still need more
7 work and we'll have to figure that as we go
8 forward, like what's being provided where and what
9 level of details are necessary and that's the work
10 that needs to be done. But that's not the
11 assumption, that we will have no clinical
12 evaluation because like somebody said in the panel
13 yesterday that investors will never pay for things
14 that don't work. And here we are trying to set up
15 a system leading forward in -- into an environment
16 that we want these products to work. And we just
17 want -- we want these products to be invested in,
18 so it actually helps patients and helps the people
19 we serve. So that's really the intent there. So,
20 if folks are thinking about that I want to clear
21 that up. And I touched upon no review versus
22 review. So, there is going to be a review. But

1 it will -- it will be a different type of review,
2 is what we're talking about. What should it look
3 like and that's the question we're stepping back
4 and saying, 'What should that review look like?'
5 That independence -- and I think somebody else in
6 the panel mentioned about self -evaluation, even
7 from a excellence perspective. Self-evaluation's
8 great, but there's always a place for independence
9 of sort of having a second eye look at it and
10 that's important as well. The third point that
11 sort of stuck out for me was transparency. I
12 cannot tell you -- one of the main tenets of this
13 program is about transparency. Transparency at
14 multiple levels. Transparency in terms of what
15 we're thinking in terms of the certification of
16 the -- of the organization, where they're fine,
17 they're think -- even thinking -- and you'll see
18 the third break-out today talk about dashboards.
19 The concept of dashboards is about transparency.
20 So, how is it posed and how does it sort of
21 explain and how it's accessible for people, I
22 think one of the models -- excellence models --

1 excellence model frameworks talked about -- you
2 could go into their website and see who is -- who
3 has what from a -- I believe it's Ballreich (ph)
4 and it talked about who has borrowed what and at
5 what level they got certified and what they're
6 allowed to go to market for. That transparency is
7 really what we are also thinking about putting it
8 into our system. So as the program is built,
9 there is some knowledge and there is some, you
10 know, clarity in terms of when an organization is
11 certified, what does that mean, what -- where does
12 that get them and it creates -- creates a trust
13 for everybody. And that's really what we're
14 trying to get to, is trust in this organization,
15 not just for us, but everybody else as well who
16 are -- who are in this market place. The second
17 part of transparency is about product
18 transparency, like how do we get there and we
19 don't have an answer for this yet by the way.
20 What does that mean for a clinical performance of
21 a product? Could we make it public? What kind of
22 information we can make public, what kind of

1 things that we can share are -- people are willing
2 to share about their products, their performance
3 in the field? We haven't figured it out and that
4 could be open for discussion, as like what does
5 that look like. So, there is people, you know,
6 reading a label and reading information about a
7 product and the marketing way may not necessarily
8 be the best, sort of, level of transparency, what
9 about the performance of the product, what about
10 actually what's happening real life with the
11 products itself in terms of their clinical and
12 technical performance? So, we are thinking about
13 those things and I don't -- I'm not sure we have a
14 great answer for that yet. But that's something
15 that we would -- we would also want to explore.
16 Another thing that came up was whether the system,
17 we're thinking of evaluating our organization, is
18 that static, once and -- you know, once and you're
19 done? Actually, we're thinking the opposite.
20 We're thinking towards more the continuous model.
21 That's why if organizations on their own were to
22 create some sort of metric that we can -- on a

1 continuous basis that we can just peak into and
2 sort of get their transparent -- know of, like,
3 how the health of the organization is, the better
4 we would be. Now, when we get to a live feed of
5 what the -- our excellence of the organization is,
6 maybe that's very aspirational, but I think that's
7 -- that would be an ideal situation. How do we
8 get there, I don't know. We may have to walk
9 before we run, but that's really where we're also
10 thinking about, is like 'how do we think about
11 this on a more continuous basis' so that we have
12 an access to how good the organization is at any
13 given point in time. So backing from that you can
14 also think about what does that mean, how -- what
15 system should be in place, should that be a person
16 that goes into and write -- does an appraisal. I
17 think the person and appraisal in the way that's
18 been done today, I'm hoping that -- that becomes
19 automated to some level, that doesn't necessarily
20 require a person, but it's built in and ingrained
21 into the -- into the organization itself. So,
22 I'll give you an analogy. It may not be a perfect

1 analogy, but I'll say that -- if there was a black
2 box or if there's a -- and that monitors the
3 entire -- entire things that we want to think
4 about excellence in an organization and it just
5 does the algorithm behind it and sort of
6 understands what the checks and balances are,
7 needs to be -- and then presents something live to
8 the CEO of the organization and that gives the
9 same transparency to us, what would that look
10 like? So, again very high-level concept, but
11 that's one level down, we need to think about,
12 like, what does that look -- that's the goal and
13 that will be an ideal state. I'm not sure we'll
14 get there soon, but we are trying to get there.
15 So the most easy path towards recognizing and
16 understanding where people are in the excellence
17 journey is going to be the best adopted, sort of,
18 program that we think that -- that will happen in
19 the space. So, I want to talk about -- oh, the
20 biggest thing I want to talk about, there was a
21 lot question about small organization startups. I
22 can tell you we spend lots of time talking about

1 that in our site visits. And I'll emphasize that
2 one more time, that it's not about small or large
3 how we're starting to think, we're starting to
4 think, 'How can this program scale for small?' So
5 it's not about leaving people out. It's about how
6 do we start off design in the program that
7 includes small and large. Now, that doesn't mean
8 one model fits everything. That means that small
9 companies may be looked in a different -- same
10 principles, different lens to talk -- to look at -
11 - recognizing what they're doing as a small
12 organization because the resources may be
13 different and how it sort of applies to the
14 excellence principles might be sort of different
15 from that perspective. But that's what we want to
16 figure out, the -- from the get go, small and
17 large, different variety of people or
18 organizations should be -- is part of the program
19 that we're trying to build. What does that mean
20 in terms of recognition, that's for -- something
21 that we need to figure out. And this is where I
22 need your help on, so there are a bunch of people

1 in this audience thinking about small
2 organizations, been in a small organization,
3 thought about, you know, what it means to be in a
4 small organization, from startups to two person
5 organization to large organization, how do we sort
6 of include that as part of the design and what if
7 -- if you keep the excellence criteria of what we
8 talked about, the same, recognition of what
9 they're doing in their organization, how can that
10 be sort of abstracted? So that's -- that's the
11 task in front of us and I would say that -- that
12 would be a challenge for all of us to start
13 thinking about, what does that mean. We also said
14 in the past -- in the very first model we put out,
15 we said there may be multiple tiers of
16 recognition. That's the journey discussion that
17 happened at the scorecard -- the models panel that
18 we had yesterday. The journey about -- somebody
19 may not be there at the very highest level on day
20 one, somebody may be there at the highest level,
21 but what's the glide path for people to get there.
22 We want to encourage people who want to be in the

1 space -- may not be necessarily software as a
2 medical device today, but intending to be there.
3 So, the concept here is how do we sort of make
4 sure that enough -- enough expectations are set
5 when they enter the field that they can start
6 collecting, start improving and make that -- and
7 being recognized for those right things that we
8 expect people to be working in the space for? So
9 that's the glide path we're trying to create, both
10 from an evidence perspective, from maturity
11 perspective as well as for recognition
12 perspective. I don't have an answer, we put out -
13 - put out a concept of -- well, two levels of
14 tiers that we may recognizes. I don't know if the
15 answer needs to be three or -- you know, I think
16 some models talked about having bronze, gold --
17 bronze, silver and gold. It could be that
18 possibility. But it has to be lined up with a
19 risk of the products they're making because at the
20 end of the day, we need to know and have trust in
21 people, this organization that can produce those
22 products that can go to market in a -- in a

1 reasonable way. Does that make sense everybody?
2 Looking at my notes. There're a couple questions
3 that came up on the fringe. I will talk about
4 that. So I did hear about the discussion on
5 metrics. So that -- and KPIs. There was lots of
6 discussion on KPIs and metrics. I want to touch
7 up on that a little bit. This concept of
8 triangulation is really, really important to sort
9 of think about. So, there's a lot of work being
10 done on people saying that you can't just rely on
11 one -- one metric to say that that's indicative of
12 a great process in place, great organization that
13 exists. I think as businesses, we all know that
14 you need to look at more than one thing, multiple
15 things to sort of see your -- you have confidence
16 in the -- in the things that you actually have put
17 in place to deliver products. So, what are those
18 triangulation metrics and outcomes that you can
19 sort of then -- that supports the outcomes of a
20 great process that you have in place. The concept
21 triangulation should not be lost on people. So,
22 we should always think about, like how do we --

1 and you will see this discussion happening in
2 break-out too, I believe, that talks about that.
3 So, let's think about that. It's not about one
4 metric equals success. It's about how do you show
5 that in multiple ways to -- to show that you are
6 actually -- you do have excellent process in
7 place. So, just -- I wanted to raise that as one
8 of the things and that's exactly why we have the -
9 - the break-up session, talk about -- a bunch of
10 people asked me about international regulators,
11 how our international regulators thinking about
12 this? And I can tell you the work we're starting
13 off with is work that was done in the
14 international front. You saw the work -- I talked
15 about Software as a Medical Device. That was
16 something that we started on the international
17 front and we wanted to sort of take that, you
18 know, 20,000 feet level consensus to the next
19 level for US. And I -- I can tell I've got enough
20 interest from the international community to --
21 and they're watching what we're doing in this
22 space very actively. And there's a lot of

1 discussions about how they can be looking at -- I
2 can -- I'll tell you the conversation I just had
3 earlier. For this program to be successful we
4 need to focus. For this program to be, you know,
5 getting out of the gate with a minimum viable
6 program by end of this year, we need to be
7 extremely focused. This is why you find me
8 talking all the time about 'let's focus on
9 Software as a Medical Device.' Can it be applied
10 elsewhere? Yes. Can it be applied in other parts
11 of the world, yes. Can -- but if you start
12 diluting our efforts in other areas, I think we
13 will be -- will be stuck and not -- not
14 necessarily make progress. So I'm very, very, you
15 know, focused on trying to get this off the ground
16 by end of the year. My leader would actually tell
17 me to do it much sooner than that and he's
18 smiling, but that's -- that's the point, right?
19 So, I think the world is changing so fast in front
20 of us. There is so much demand for something new
21 to be in the space. I mean, the evidence that you
22 guys are here, that tells me that, right? And

1 there's interest in the space. I want to tap into
2 this interest that you already had -- that you
3 already have in this program and the -- and the
4 potential of this program to be successful and how
5 do you sort of build that, so we truly can have
6 something that can be stood up by end of the year
7 and that's the goal. So, let's stay focused, is
8 my call, let's stay -- sort of make sure that we
9 all chime in input -- input into this -- into this
10 area that we need help with. So really calling on
11 you to engage. I think I covered most of it. Let
12 me -- so, I can take a couple questions. I don't
13 know -- I don't know if we have time. Marisa, do
14 we have time to take questions or -- or
15 observations? We didn't plan for it, I know.
16 Marisa will kill me if I modify stuff. But I'm
17 known to modify on the fly, so that's good. So,
18 two things before -- I mean, so people have
19 questions or want to sort of throw out other
20 observations, please stand up, get to the mic and
21 I would be happy to sort of, you know -- I think
22 at least help people hear what you took away and

1 maybe we'll just spend a couple minutes to talk
2 about that. But before we get started, let me
3 just say one thing. I'd ask people to raise their
4 hands if they belong to groups or they are part of
5 a group that -- that is excited about this program
6 and want to help. Can you -- those guys who
7 raised their hands and willing to help, can you
8 get to the registration and talk to Maggie Fu?
9 She's collecting names of the organizations. So,
10 we're asking people to say who you are, how do we
11 get in touch with you, what kind of organization
12 you belong to and what kind of perspective you
13 bring to the table. And we want to sort of make
14 sure that this is truly crowd source, from that
15 perspective. So, how -- so please do that in one
16 of the breaks or right after the session to sort
17 of make sure that we have your information, that
18 we can sort of then connect with you and figure
19 out a way to sort of do this. And if you have
20 ideas after the fact or if you sign up and say,
21 'Oh, we have idea, this is how you can engage with
22 us,' I want to hear that so we can actually figure

1 out a way. One of the challenges we have today is
2 we don't have a good mechanism or we haven't
3 thought about a great mechanism to engage with
4 everybody, we -- a little bit strapped on
5 bandwidth and we want to make sure that we are
6 using the people we have in our teams to sort of
7 engage with you, give you that perspective in a
8 way and that can help you provide input to us.
9 Second thing to leave before we get there is you
10 saw the concept I laid out with the cloud picture
11 and feedback mechanism, streamline review, there
12 are so many components in there that we are not
13 talking about today. But that doesn't -- that
14 shouldn't limit anyone of your groups or any one
15 of you to start saying that, 'I have ideas about
16 that,' even after you leave the conference, 'I
17 have ideas about that.' Make sure that you put
18 those ideas in something that we can sort of use
19 and put it to the -- give us to the docket -- put
20 it into the docket. We will take them. We'll
21 take those ideas. We'll take those thoughts. If
22 you have ideas about how best to review software,

1 which is not in a -- in a summation format or some
2 other format or you think about mechanisms of
3 reviewing is better and this is kind of
4 information that we would -- it would be
5 beneficial -- or how to review, let us know. I
6 think we're looking for that, truly looking for
7 that. So, I'll turn it back to you now.

8 MR. RITSCHER: Thank you. I'm David Ritscher
9 with Cambridge Consultants and with a large
10 entity, you know, that are going to produce many
11 medical devices, clearly precertification would be
12 valuable. But people mentioned yesterday, you
13 know, if you're a little startup you might have
14 one year of runway and you're only going to try to
15 get out one product. So then it -- I'm wondering,
16 first of all, is -- might a precertification
17 program actually add an extra burden? You're
18 going to have to do the precertification program
19 and then you're going to have to do whatever the
20 approval process is. If you're only going to
21 release one product, might that be more burdensome
22 than another group and do you -- my question is,

1 do you envision not everyone will be precertified
2 in the long term? It will only be for larger
3 entities or when -- when would one be precertified
4 is my question?

5 MR. PATEL: Great. Sort of -- what I'm read -
6 - what I'm reading between what you're saying is,
7 are we presuming that only certain groups will be
8 precertified? The answer is no. The answer is
9 not to let -- exclude people from this. And this
10 is what I said, that we're designing the program
11 to be all inclusive. So, if we are not starting
12 off saying that we -- the program will be only
13 geared towards certain populous (sic) that we're -
14 - we're looking for, that's not the intent. I --
15 I think -- I think the way to sort of answer your
16 question about if -- are people going to be part
17 of the program or volunteer. So, this is a
18 voluntary program. This is -- we started off
19 saying that this is a voluntary program. If you
20 want to get it -- we are trying to create a
21 different experience. We started this idea with
22 this -- with the concept of, you know, the idea of

1 pre-check. If people are in the TSA pre-check
2 line, they get a different experience going
3 through it. You still get reviewed, you still get
4 inspected. But you -- but you get a different
5 experience. That's the experience we're trying to
6 create, is what is a different experience look
7 that -- that is so close to what you do in your
8 day-to-day business operations is really where we
9 want to get to. The closest we can get to
10 recognizing how you manage control and deliver
11 products in the best possible way is really where
12 we're trying to get to. How do you sort of do
13 that and that's -- so we're not even thinking
14 about, you know, the dimensions that you were just
15 raising, like is it one product or -- I mean, it's
16 a choice. Seth?

17 DR. CARMODY: So, from the email box we have a
18 couple questions here. Some requirements such as
19 DHFs, et cetera, do not add value for some
20 Software -- Software as a Medical Device products.
21 Does the precert pilot include consideration --
22 consideration of these requirements, how would

1 this be socialized through the agency?

2 MR. PATEL: We have a journey ahead of us and
3 I think we touched upon that yesterday. Education,
4 socialization and training is built into that. I
5 think we need -- we -- what we are -- where we are
6 today is recognizing how close we can get to -- or
7 the closest we can get to without getting mired
8 into day-to-day operations, but recognizing those
9 day-to-day operations that are valuable. So that
10 -- that may take you -- take that DHF discussion
11 to the next level, I can say there's many other
12 things that the organization does that, you know,
13 for being excellent, that can be recognized. Now,
14 yes, we do have a transition that need to made
15 (sic) -- to be made from recognition perspective.
16 Internally, externally and -- and other places.
17 So how do we get there, that's the journey and if
18 -- and I'll be open to sort of ideas of how to get
19 that socialized. I mean, we do -- we are actually
20 thinking about implementation plan of how do you
21 take this to actually implement it and tie it into
22 our current regulatory structures. So to be -- to

1 be defined, how to do that, but we are planning on
2 doing it. Great.

3 DR. CARMODY: And how would data collected
4 from clinical trials from software devices that go
5 through the precert program be viewed in
6 regulatory submissions?

7 MR. PATEL: This is the discussion we talked
8 about, about NEST and I was thinking about how do
9 we sort of take that information and be reused, so
10 there is enough efficiencies and evidence that's
11 created and how -- and you see efforts in the
12 Center from patient preference information to
13 clinical evidence that is generated elsewhere,
14 information, to real world. So, we have those
15 efforts going on in Center and Jeff talked about
16 that yesterday. We need -- we need to take a --
17 this program doesn't stay in its isolation. We
18 are taking all the lessons learned, all the things
19 that's happening around in the Center as well and
20 integrating and making it tailored for this world
21 of digital health and software, so that's how I
22 would think about this. Would -- would that make

1 sense, Jeff? Yeah. Do we have -- are we good?
2 Thank you. And here's my call for today, roll up
3 your sleeves, head down and many people ask me
4 like, 'Give me more details.' You're going to get
5 so much detail -- I should not have used that
6 word, sorry. Damn it. It sounded like -- no, never
7 mind. I'm not going to go there. Please --
8 please roll up your sleeves, your sleeves today,
9 engage and don't be hesitant to sort of tell us
10 we're -- what we're missing on things. So, the
11 three break-out sessions, Marisa's going to walk
12 through the details of that. But really, we want
13 your input to this. Help us build the -- build
14 this program to the next level, thank you.

15 MS. CRUZ: All right, welcome everyone. So,
16 as Bakul said, today is going to be interactive.
17 It's going to be engaging. It's an opportunity to
18 really hear from you about more of the meat of the
19 program, what this is really going to look like.
20 You've each been assigned to a break-out group and
21 -- which you can find on your name badge. And you
22 will return to the same break-out group, the same

1 moderator and the same location for each of the
2 three break-out sessions. Break-out room
3 assignments will be shown on the screen after this
4 introduction and maps for the break-out rooms can
5 be found at the bottom of your agenda. This
6 information will also be displayed on posters and
7 signs in the lobby. In general rooms A, C and G
8 are located just across the hallway from the
9 auditorium and balcony rooms 1, 2 and ...

10 (A break was taken.)

11 MS. CRUZ: Okay. So we're going to call
12 groups up in pairs. And so we'd like the person
13 who is doing the read out from Groups 1 and 6 to
14 come up on the stage along with at least one
15 facilitator from Groups 1 and 6. You are in Group
16 1 and 6 if you were focusing on leadership. You
17 were in Group 1?

18 UNIDENTIFIED MALE: Yeah.

19 MS. CRUZ: Yes, okay.

20 UNIDENTIFIED MALE: Where's my facilitator?

21 MS. CRUZ: Who was your facilitator?

22 UNIDENTIFIED MALE: Cathy.

1 MS. CRUZ: Cathy's here. Cathy, can you come
2 up? And Group 1 ...

3 UNIDENTIFIED MALE: (Off microphone).

4 MS. CRUZ: (Simultaneous speaking) So Group 6,
5 any -- has Group 6 returned? Okay, there we go.
6 There we go. And Vizma or Binoy, can one of you
7 come up? Okay.

8 UNIDENTIFIED MALE: You are way cooler than we
9 were. Is Vizma coming or ...

10 MS. CRUZ: Binoy.

11 UNIDENTIFIED MALE: Are you going to be ...

12 MS. CRUZ: Mm-hmm. Okay. So, we're going to
13 approach the share and review by having, as we've
14 done here, a reporter from each group, each of the
15 paired groups as well as a moderator from each of
16 the paired groups come up on stage. We're going
17 to have the reporter read out the kind of five or
18 so key takeaways or points that the -- that the
19 group came up with and then we'll have the
20 moderator just remain on stage for a few more
21 minutes to have some open Q and A with the
22 audience, okay. If people have questions, either

1 virtual participants or here in person, please
2 feel free to come up to the mic or to give your
3 question to a partner. Okay, with that I will
4 turn it over. Do you want to introduce yourself
5 and give your ...

6 MR. REED: I'm missing my moderator, but I'll
7 fake it for the moment. My name is Morgan Reed.
8 We were with Group 1. We had a very interesting
9 and lengthy discussion. I'm glad to see that all
10 of you worked really hard with the post-it notes.
11 I'm going to incorporate a lot of our thoughts.
12 There she is. I'm riffing while I'm waiting for
13 you to come up. So, basically since we were
14 covering leadership, one of the areas that's very
15 difficult is almost immediately our group went
16 from the concept that the -- the five principles
17 were great from a platitude's perspective and that
18 they did speak to the larger questions about
19 leadership. But the real questions and nitty-
20 gritty of our group focused on how do we create
21 indicators or metrics that provide for that
22 outcome? There were some key things that -- terms

1 that we thought needed to be added under the
2 characteristics of excellence. One of which under
3 -- leaders actively engaged with all external
4 stakeholders including patients, health care
5 providers, caregivers, healthy users wishing to
6 engage in preventative care, two elements were
7 mentioned that the -- the concept of external
8 stakeholders should explicitly or in some other
9 way recognize regulators and pairs as external
10 stakeholders. Another concept that was
11 highlighted is we need a definition of what a
12 leader is and recognition that leadership between
13 a company of 12 people and a company of 10,000 can
14 look very differently. And so the engagement
15 metrics that the FDA would come up with around
16 engagement need to recognize that difference.
17 Specifically, the vignette that was spoken to in
18 our group, that several people brought up, is in a
19 ten person company you might be sitting across the
20 desk from the CEO and next to the CTO. So, if you
21 -- if -- under the concept of indicators number
22 one was number and diversity of channels used to

1 communicate and reinforce commitment to core
2 principles. Well, in a ten person company you
3 have the channel of speaking across your desk and
4 the channel of speaking to your left. In a 10,000
5 person company you need to have a -- a clearer
6 structure and a clearer designation of those
7 channels. So, we would encourage the FDA or any
8 metrics that are developed to look not
9 specifically at the -- at a number. It can't be
10 do you deal with ten people internally or
11 externally. One of the other areas this -- and
12 the same number and diversity of channels used to
13 communicate and re-enforcement and reinforce core
14 -- commitment to core principles, bifurcates
15 between internal and external and that goes to an
16 area that -- again into that external
17 stakeholders' conversation. Our group that
18 covered product quality focused very much on
19 demonstrating and creating indicators that are
20 feedback loops for metrics that are measurable in
21 terms of how do you engage with a patient group,
22 how do you take that information back in and then

1 how do you demonstrate that it was effectively
2 utilized. The suggested methodologies for doing
3 that include surveys for -- for internal purposes
4 and for external purposes, what -- it's a broader
5 methodology in which you can reach out to patient
6 groups and receive information. One of the
7 obvious elements that came up was user testing and
8 that would include both direct AB testing as well
9 as focus group testing. So, those go into the
10 metrics -- are you guys -- I know she's still
11 typing. Under -- under the other key points that
12 I -- that came up were -- when it comes to
13 ensuring the organization's flexible, agile and
14 manages change effectively, one of the areas that
15 -- that was the largest amount of debate in our
16 group was how do you build it into the culture of
17 your employees, how do you demonstrate it? One of
18 -- one of the core messages is that are your
19 employees aware of it and how do they communicate
20 it if you're -- if they're asked, hence internal
21 surveys. One of the other objectives was how do
22 you demonstrate that you make it part of their

1 objectives and end of year review. One of the
2 examples was brought up by Joanna in our group is,
3 she said that every year when she does her end of
4 year review, there is a question on her end of
5 year review that says, 'How have you incorporated
6 product safety and patient safety into your job?'
7 and the next question is, 'How was that recognized
8 by your manager or leader?' So, there are ways
9 that the FDA can use specifics, do you incorporate
10 questions such as these, exemplar, exemplar, into
11 end of year review. So, there are methodologies
12 that -- that the FDA can create. And again, they
13 will have to be more vignette based than sheer
14 number. There was definitely push back in our
15 group on the idea of having a hard metric, either
16 on patient -- direct patient engagement or on how
17 many channels you use or how you directly engage.
18 So, the big takeaway for us is we've got to find
19 how you -- leaders -- what does it mean by leader
20 in these terms and then how do you show those
21 channels of communication to leadership.

22 UNIDENTIFIED FEMALE: Thank you.

1 MR. REED: Did I miss anything?

2 UNIDENTIFIED FEMALE: No.

3 MR. REED: Okay.

4 MS. CRUZ: Okay. Excellent. Our other
5 leadership break-out group?

6 UNIDENTIFIED FEMALE: Okay. So Team 6 looked
7 at all the five different categories and I think
8 in general we basically said that not one size
9 fits all. And there's small companies, large
10 companies, very structured companies and not
11 structured. I think in general we said that you
12 need ongoing training for not only product
13 quality, patient safety, clinical responsibility
14 but also cyber security and then you also need to
15 make sure that you have competence in general.
16 And across the board, across all the five
17 excellence principles you need to ensure that you
18 have effective communication. I think that was
19 really key. Specifically, I think we said that
20 for product quality, it needs to be incorporated
21 as part of the missions and values to ensure that
22 patient responsibility is more important than

1 profit and then also to have the right structure
2 for validation, external and internal, and to
3 ensure effectiveness. I think we also had the bus
4 factor, which I've never heard of it in that way,
5 but basically, I guess if you -- your staff or
6 your project team gets hit by a bus, I think
7 that's what it's referring to, that you're sourced
8 properly. I've never heard of it in those terms,
9 but -- it's a little morbid but, okay, good.
10 Moving on. So, I think for patient safety, we
11 said that we need to ensure that there's a
12 mechanism for reporting as well as to ensure that
13 there'll be resources to deter patient related
14 threats and to ensure that we have a severely --
15 severity related model for patient illnesses, I
16 think. Yeah, issues. Okay. And then for
17 clinical responsibility, we need effective two way
18 communication and that concerns are raised and
19 acted on by leadership. And also, that we need to
20 have a menu to select from to choose for the
21 metrics for cyber security, we need your usual
22 transparency via surveys, stakeholder integration,

1 a feedback loop and then subject matter expertise
2 and for a proactive culture, we need to ensure
3 that there is a feedback mechanism and that
4 leadership does their own evaluation to understand
5 when it's needed to do their own improvement and
6 enable current investments and again focusing on
7 the patients. So, I think the key themes or
8 flexibility, subject matter expertise, not one
9 size fits all, we need to resource properly and do
10 everything else. I think that's the -- that's the
11 take home message. There's no magic pill for
12 sure. Thank you, I think.

13 MS. CRUZ: Thank you very much.

14 MR. REED: I would want to add that -- to
15 recognize some of our other folks, you hit on a
16 key point that we did talk about and then I kind
17 of muffed over, which is the idea of risk
18 assessment, the red, green, yellow, how do you
19 build risk assessment into it. We had a pretty
20 lengthy discussion about the idea of how do you --
21 how are you demonstrating and how do you create
22 metrics around how you identify the risk, how you

1 deal with the risk and how you either mitigate the
2 risk or -- or make sure that your employees can
3 communicate that risk internally. I think that
4 was one that you mentioned that we did too and I
5 want to recognize our team, who also agreed.

6 MS. CRUZ: Great. From a process perspective,
7 do the moderators want to comment on anything that
8 went well or lessons learned from the first break-
9 out?

10 UNIDENTIFIED FEMALE: Well, what was wonderful
11 in our -- in our group is that we early on
12 identified Morgan to step up so that it really is
13 community based creating all of these
14 characteristics and indicators rather than, you
15 know, FDA standing there and, you know, having
16 that -- you know, FDA at the front of the table or
17 the front of the -- of the room. It was actually
18 the community member at the front of the room.
19 And we had -- each of the groups were very active,
20 if anything it was very hard for them to just look
21 at the characteristics without already diving into
22 the indicators. So, in many ways the two parts to

1 the conversation were very tied into each other.
2 So -- and as a reminder, this is the beginning,
3 it's iterative, you know, the information that
4 came from the pilots. Now this is the next tenth
5 pilot and this is an iterative process, so I think
6 that there was a lot more conversation that could
7 have taken place, if we didn't have the time --
8 time stops in between.

9 UNIDENTIFIED MALE: I'll just add, we -- we --
10 we had a very similar experience in ours. I mean,
11 we -- you know, we started out, you know, by
12 saying this is about you, this is the tenth
13 participant, you know, this is the tenth pilot
14 participant for us and we wanted to make sure that
15 we were engaging across the entire spectrum of
16 stakeholders and so we made sure that that's
17 actually what we had. And, you know, it was nice
18 to actually see that -- that spectrum represented
19 in the group, we had industry, academy, we had
20 government, we had patient groups, we had provider
21 groups, we had health assessment organization
22 participation in there as well. So, we actually

1 had the full -- you know, full grouping and we
2 were able to divide into the different excellence
3 principles. And I think if we had -- had enough
4 time, I think this could have gone on for about an
5 hour or longer in coming up with different
6 metrics. So, the thing I implored the group to do
7 is after today, to keep thinking on some of these
8 issues because, you know, as Janet (ph), you know,
9 rightly said, I think what some of the struggles
10 that we had were around, can this be scalable from
11 a big organization to a small organization and
12 there are certain parts to -- it's not necessarily
13 so clear that that's actually going to be there.
14 You know, one of the points was made that some
15 smaller organizations really don't do assessments
16 of their employees because there may only be three
17 of them. It's not really that necessary. So, you
18 know, making sure that those was -- you know,
19 that's a capability that we can actually scale to,
20 so I asked my group to make sure that when they
21 leave today, that they continue thinking about the
22 issues that we brought up as a group and send

1 those to us. I'm happy to receive them. Vizma
2 would be happy to receive them. Marisa would be
3 happy to receive them. We really want the input.
4 We really want to get your thinking and your hard
5 thoughts about these things. So, if there is a
6 way for everyone -- I'll just say this broadly.
7 If there's a way for you to keep thinking about
8 what you came up with in your groups do so and
9 send it to us.

10 MS. CRUZ: Thank you. So, I think we only
11 have another minute or so for this group. But is
12 there anybody who has any questions about the
13 leadership category of enablers that they'd like
14 to address to the folks up here on the stage?

15 MR. FARANESH: Can I go to a mic?

16 MS. CRUZ: While he's walking to the mic, I'm
17 just going to also just say that many times
18 there's a conversation of small, medium, large
19 companies. But the reality is the small company
20 doesn't stay small and it's not something that
21 just happens overnight. There's that evolution.
22 And sometimes we need to think about this from an

1 evolution perspective rather than a jumping up
2 steps.

3 MR. FARANESH: Thank you. My name is Tony
4 Faranesh. I'm from Fitbit and I'm just curious.
5 In your group, was there consensus at the end that
6 this was a tractable problem to devise -- to come
7 up with characteristics that would apply across
8 the board from a small company to a very large
9 company or companies that have track records and
10 have license to (inaudible) already?

11 MR. REED: I think -- so what was interesting
12 is right at the very end, which is why we needed a
13 little bit more time, there was a move by the
14 group to recognize that there may be ways, so long
15 as the FDA doesn't create actual metrics. Meaning
16 that if it -- if, for example, patient engagement
17 is 'show us how many patient groups you dealt
18 with,' well, that doesn't work because maybe your
19 product only meets the requirements of a thousand
20 patients, it's a very niche product. 'Or you must
21 show four channels inside of your company on ways
22 you can communicate about product safety.' That

1 doesn't work. So, it was more about 'can we
2 create metrics that show, here are the channels
3 available, this meets a quality metric because it
4 is -- here's how the path to -- key decision maker
5 or C-suite or other leader is -- is in the mix.
6 So, hard numbers, no. If those numbers are
7 instead qualitative rather than quantitative then,
8 yes. I -- I would look for any in the group who's
9 going to shake their head no, but right at the end
10 that was kind of the -- where it was getting to.

11 MS. CRUZ: Thank you. Okay. Let's give a
12 round of applause to Group 1 and 6. Okay, our
13 next groups are 2 and 7 who focused on people.
14 Can the person doing read out and the moderator
15 for those two groups come up to the stage?

16 UNIDENTIFIED FEMALE: We are Group 2 and our
17 task was on people. So, we brought a lot of
18 people. And rather than read off everything that
19 we did, we just made a -- a visual. I'm sure you
20 can all read it. No? Okay. So, we started off
21 the exercise looking at the excellence
22 characteristics and we had all of us as a group

1 think about what excellence characteristics for
2 people would resonate most with people or what
3 they felt would best describe excellence for a
4 company. So, our top five that we came up with,
5 paraphrased in very small words and not nice
6 sentences like in the packet, are training. Not
7 only training of people when they come on but
8 continual training and knowledge -- management
9 knowledge of what people know, what they need to
10 know to do their job. Communication, being able
11 to have good communication and -- and being able
12 to report issues through the organization.

13 Diversity, diversity in groups meaning that your
14 hiring practices makes sure that you are hiring
15 the correct people with different perspectives for
16 the product that you're trying to make. So, you
17 make sure that if you need a clinical person on
18 staff that you hire a clinical person with the
19 appropriate background. Empowering people, which
20 is similar to what is the -- the third bullet in
21 the -- the predefined list and accountability and
22 being able to give feedback. So, now we are going

1 to have each -- a representative from each of the
2 excellence principles talk about the objective
3 indicators that they found best met one of the
4 excellence characteristics. So, first up we have
5 product quality.

6 UNIDENTIFIED FEMALE: So for us we looked at
7 people -- the product quality aspect of that and
8 we looked at identifying competencies of who needs
9 to be in the room for a cross functional team. So
10 not having it driven by we need these six people
11 here, but we need these eight competencies here
12 and who are we going to find really in our staff
13 to make sure these competencies are represented
14 across the board? We're also -- then the second
15 one was looking at accountability. So looking at
16 -- ensuring that a structure of accountability and
17 responsibility is well defined and documented.
18 What works for an n of few will not necessarily
19 work for an n of many, so it's hard to be able to
20 say this applies to every type of organization
21 type. But ensuring that that documentation is
22 there to really make sure that people are focused

1 on accountability, responsibility across the
2 board, that's what we're looking for.

3 UNIDENTIFIED MALE: So, our subgroup, we were
4 looking at the patient safety enabler and in
5 regards to hiring practices we thought there
6 should be an indicator that specifically considers
7 your staff qualifications. We had a lot of
8 conversation as to how do you ensure that you have
9 the right clinical expertise or the right cyber
10 security expertise or the right human factors
11 expertise when considering what it is for your --
12 your particular product. And so there should be
13 some sort of indicator or evaluation of how you are
14 bringing people on board from an education
15 perspective or a CV or whatever their experience
16 is. We had a lot of conversation on this about
17 small versus big as -- as well. And I think one
18 of the themes that kind of kept coming out is
19 while we could describe a -- a what -- and I think
20 I'm hearing this with a lot of conversations with
21 KPIs and indicators, the -- the how that is
22 determined is going to be varied. And so there

1 was a lot of conversation with that. And
2 regarding patient safety and
3 communication/reporting issues, we thought one of
4 things that ought to be considered in this program
5 is 'What's your escalation path?' and specifically
6 with software, we used the term a couple times,
7 the speed of software, how does that -- how does
8 that communication for a patient safety issue, how
9 quickly does that get escalated, where does it get
10 escalated to, how quickly is it -- is it resolved?

11 UNIDENTIFIED MALE: All right. So for Group
12 3, we were looking at clinical responsibility and
13 the two areas for clinical responsibility that
14 jumped out at us were diversity of feedback and
15 accountability. So for diversity of feedback, we
16 thought it was very important from a clinical
17 perspective to look at premarket and post market
18 feedbacks. So, we know the premarket or the voice
19 the customer feeds into that development activity
20 or from a post market perspective and certainly
21 through the complaint process, you want to
22 understand how the device is being used, what is

1 the utility of the device and do you need to make
2 changes to the device to meet the intended use or
3 the needs of the patient population that you're
4 addressing. So, that's the diversity of the
5 feedback from a clinical perspective, both
6 premarket and post market. The other piece of it
7 was actually looking at accountability. And for
8 us, when we look at clinical responsibility and
9 accountability, we dovetail that with the people
10 segment of the activity. We're really looking at
11 how do you assess the individual and for us, a
12 360-degree performance assessment really makes
13 sense because not only are you going to look at
14 the what, so how did the employee actually
15 complete the objectives or the what of their
16 activity, the deliverables, but also the how. How
17 do they work with the individuals on their cross
18 functional team, how do they work across the
19 organization? Were they collaborative, were they
20 constructive, did they really enable the
21 organization and were they accountable for the
22 overall activity that they were performing. So,

1 that was Group 3.

2 UNIDENTIFIED MALE: For the excellence
3 principle related to cyber security is what we
4 took a look at and the two that we sort of -- two
5 characteristics we focused in on measuring with
6 objective evidence were training and
7 communication. So from a training perspective we
8 first looked at some of the traditional measures
9 that would be objective evidence such as training
10 records or job descriptions for qualifications,
11 but we also, I think, specifically around cyber
12 security, look to a new perspective of potentially
13 requiring continuing education coursework or
14 credits for your cyber security team, just -- to
15 ensure they're staying relevant on cyber security.
16 And then related to communication we thought it's
17 very critical that you have a mechanism for
18 reporting, capturing, classifying bugs or issues
19 related to cyber security.

20 UNIDENTIFIED MALE: So we in Group 2.5 because
21 I like version control -- and just to be clear in
22 Group 2 we -- we had many, many more Post-its, but

1 Linda was really cracking the rib -- the whip on
2 how many Post-its we could actually put on this.
3 So, training, communication, reporting issues,
4 those kinds of things, one of the measures that we
5 were looking at was accountability for escalating
6 issues, not only accountability for the
7 individuals within the organization, but also tied
8 to MBOs or some other metric that managers or
9 executive folks were responsible for. And we had
10 a lot of discussions also about small companies
11 versus large companies and -- and how those are
12 going to be challenging on accomplishing those
13 things because if -- if you're an N3 (ph) you
14 probably don't have MBOs. Another interesting one
15 that we had -- and again this probably is more
16 attune to a larger company is identifying where
17 within the organization the issue came from so
18 that you could identify, are you actually getting
19 issues communicated and people feel empowered to
20 communicate them from across the breath of the
21 organization. Diversity was the other one. And
22 we -- one of the things that we had talked about

1 was whether or not the diversity within the
2 company actually matches the diversity outside of
3 the company. Those are two different measures
4 really. You could look at an individual company
5 and say that they are diverse, but they don't
6 necessarily match the diversity of -- of the
7 community at large or, you know, where they happen
8 to be at. And then also is there a -- a diverse
9 workforce and a thinking process that goes along
10 and -- and we talked a bit also about diversity
11 within an organization and how that differs and is
12 more challenging to the measure and monitor and
13 those kinds of things than it is gathering
14 diversity on, say, a product development when
15 you're going out and gathering diverse input from
16 individuals. Both are important, but different
17 way -- different challenges go with each to try to
18 measure those. The last one there was empowering
19 people. Again, you could also use the metric of
20 where within the organization things came from,
21 how many people actually brought issues to the
22 front and are those issues being addressed, did

1 everyone weigh in. And not only do you have a
2 process for empowering people, but are you
3 actually putting resources behind that and
4 supporting it.

5 MS. CRUZ: Thank you very much. Esther and
6 team?

7 MS. BLEICHER: Clearly Group 2 was the
8 extroverts. I represent the introverts for
9 people. So we couldn't actually get anybody from
10 Group 2 -- or from Group 7 to volunteer to do
11 this, so I'm going to present. But we'll make
12 sure we do that for the next round. We actually
13 had quite a few of the same conversations and --
14 and -- and sort of same discussions that -- that
15 they had. But one of the things that I -- so,
16 I'll -- I'll sort of be brief and -- and highlight
17 the ones that weren't covered. I think an
18 important characteristic that came up that we felt
19 wasn't represented in this list of characteristics
20 was this idea that throughout the company there
21 was this understanding of patient excellence. So,
22 does every piece of your company know at the end

1 of the day the patient population that we're
2 treating, the condition that you're treating, why
3 we're treating it and have a very clear
4 understanding of, you know, the 75,000 lines of
5 code that they just wrote, how that impacts the
6 patient's life or your customer's life and that
7 that's included in patient safety but is very
8 different, it's around patient excellence and that
9 everyone has a very clear understanding of the,
10 you know, the overarching patient that you're --
11 that you're taking care of. We talked about
12 continuous process improvement so that it is
13 continuous, that it's not just a one-time thing
14 and that -- that -- that changes are made and that
15 it is an evolving process around how people
16 understand and work within your organizations. We
17 talked about this idea of innovation, that, you
18 know, there is a culture within your organization
19 of innovation and it is recognized and it is
20 accepted and that everyone feels comfortable to
21 say, 'This is my job, this is how it matches back
22 to the strategies and the culture and the goals of

1 this organization.' But I think that I would like
2 to recommend ways that we can do this better,
3 faster and safer and that innovation is recognized
4 across the organization. We talked a lot about
5 reward and recognition and this concept of what
6 does reward mean and that that might be very
7 different across organizations, big, small, but
8 that -- that when you talk about reward and
9 recognize that it's actually measurable and that
10 it means something to people. It's not just a,
11 you know, employee of the month because it's a --
12 it's a recognition that -- that a company just
13 wants to do. And we talked a little bit about
14 measurements and assessments of these
15 characteristics and how difficult that is to come
16 up with KPIs around these because it's a lot
17 around, sort of, intangible culture and excellence
18 and things like that and that within companies,
19 big or small, how these companies choose to reward
20 and recognize and to assess their -- their people
21 might be very different, but that as long as there
22 was a -- a process for recognizing and that it was

1 constantly evolving and continuing to be refined
2 and that there was constant feedback from
3 employees throughout your organization that -- you
4 know, that was how we would think about measuring
5 these -- these characteristics. And again we --
6 not to go into what Group 2 covered, but we did
7 have a lot of the same sort of discussions that
8 you all had around making sure you have the right
9 people who -- with the right skill set involved
10 and that that's across the board and that, you
11 know, communication is up and over, not just down.
12 So, we did have a lot of the same, you know,
13 components. Did I miss anything?

14 MS. CRUZ: Thank you very much. Okay. So,
15 very briefly, because we're running a bit short on
16 time, any additional process, things -- things
17 that went well or could be improved upon from the
18 moderator perspective?

19 UNIDENTIFIED FEMALE: I think that, you know,
20 Group 2 was very creative and very engaged and
21 that is very much appreciated. We certainly were
22 asking a lot of everybody to do this in a short

1 period of time and -- and you think very
2 specifically about a topic, you know, and you
3 know, it's like, 'Hurry up, come up with a
4 gazillion ideas, it'll be awesome.' So, I think
5 that, you know, as was said with the previous
6 groups, we expect that you will continue to think
7 about this and we welcome any additional thoughts,
8 information that you have on this topic.

9 UNIDENTIFIED FEMALE: Yeah, I would just echo
10 that, particularly with the metrics, I think it
11 really reflected the experience we had on the
12 pilot visits, right, where it was just -- it was a
13 little bit challenging and took more time and we
14 didn't have the time. But we started the
15 conversation today which was great and would
16 really like for your continued critical thinking
17 about what kinds of metrics would be appropriate,
18 could be scalable, maybe there's a set of metrics
19 that makes sense for smaller and a set of metrics
20 that makes sense as companies evolve, so keep
21 thinking.

22 MS. CRUZ: Okay. Great. And any questions

1 for -- from the audience for the groups focused on
2 enablers of people? Okay. I think you guys
3 covered it, thank you. Could we have the -- yes,
4 we'll give everybody a round of applause. So,
5 moderators are going to read out for Groups 3 and
6 8, focus on strategy.

7 UNIDENTIFIED FEMALE: Alrighty. Okay. So we
8 talked about strategy in our group and so about
9 five takeaways for you. The earlier part of our
10 conversation was rather existential, so we'll
11 cover those first. We do want to recognize that
12 there's a bit of, sort of, chicken and egg problem
13 with the FDA evaluating strategy because for many
14 of us as health care companies, what the FDA will
15 or won't do or the lack of transparency around
16 that is key to us formulating our strategy or
17 challenge to us being able to formulate a good
18 strategy. So, we just wanted to call that out,
19 that there is some concerns there. Second, we
20 question the FDA's right and ability to evaluate
21 our business strategy and whether or not that's
22 effective, so more scoping around the definition

1 of the strategy or evaluating is required.

2 Moreover, we would believe that it would be nearly

3 impossible to come up with universal metrics to

4 measure a good strategy because you have metrics

5 that evaluate whether or not your strategy is good

6 or inherent to what your strategy is. For

7 example, one of the proposed outcome metrics was -

8 - of a good strategy was that it was being

9 incorporated into clinical care pathways that

10 currently exist. For my company, we're actively

11 trying to change those clinical care pathways.

12 So, being incorporated into them, which means

13 we're failing at our strategy, so that being a

14 universal measure of success wouldn't be helpful

15 for us. So despite these questions -- existential

16 questions about evaluating strategy, we do believe

17 that having a strategy and being able to

18 articulate that is key to the success of an

19 organization and is an excellent principle, fair

20 enough there. So, that given -- given that when

21 we were looking at what the characteristics of a

22 good strategy were -- a couple of things that we

1 thought were missing from the definitions provided
2 were that a good strategy is adaptive, not just to
3 internal changes but as well as to changes in the
4 ecosystem that you're operating in. A good
5 strategy is communicated not just internally, but
6 also externally and is part of how you manage your
7 external relationships and finally must include a
8 risk -- sorry, a risk assessment component, not
9 just your business strategy but also to the entire
10 lifestyle of your product. So sort of just
11 summarizing all of that we came to the point of --
12 you need to have a strategy, we agree that's
13 important, we're not really sure you can
14 universally measure what a good strategy is. But
15 that if you were going to objectively measure
16 whether or not you had a strategy, the three
17 tenets we thought were most important were, one,
18 were you able to articulate that strategy. Two,
19 do you have plans and processes in place to
20 communicate that strategy to your organization.
21 And finally, do you have a way within your
22 organization to check that your strategy is

1 working and being implemented. That's it.

2 MR. AMOR: So Group 8 strategy was to have me
3 present, which is a failed strategy already. So,
4 next time we will have a non-moderator present.
5 So, actually, it's pretty consistent with the --
6 the prior group's key takeaways. So, what we did
7 is we looked at the characteristics of excellence
8 first and then the examples of the objective
9 indicators, so we kind of summarized the approach
10 that way. Under characteristics of excellence, we
11 thought that the first characteristic about basing
12 organizational strategy on, you know, customers
13 and health care -- folks in health care ecosystem,
14 we needed a better definition of what customers
15 are, what users are and other categories, so
16 something that came out of that was potentially a
17 glossary of some sort to help company strategize
18 each of those product, you know, categories. We
19 also felt that in the characteristics of
20 excellence, we needed further detail in the actual
21 health care ecosystem. So again, another
22 terminology question, particularly in regards to

1 payers and patients. So, again there was a little
2 bit of confusion about, you know, what is the
3 strategy geared towards. Is it business strategy,
4 is it strategy -- is it business strategy geared
5 towards caregivers? You know, that's -- that's
6 the type of thing that we looked at there. We
7 noticed that there was a missing category in that
8 excellence -- characteristics of excellence which
9 was a focus on a technology landscape or a
10 technological ecosystem so that included things
11 like integration, interoperability. We thought
12 that the -- the group mentioned really several
13 times that it's not just about whether we can, you
14 know, meet user needs, but we can meet other
15 stakeholder needs in terms of easy integration
16 into workflows, we had a lot of folks in panels
17 yesterday talk about that if something isn't
18 easily integratable within a currently existing
19 workflow or doesn't make it easier or is difficult
20 to incorporate, even if it's brand -- you know,
21 completely novel that might really diminish the
22 value. So we thought that that was something that

1 an excellent company would do. There was a very
2 contentious or exciting depending on how you
3 approach it, conversation about the FDA's
4 jurisdiction, just similar to the other -- the
5 other speaker about their assessment of business
6 viability. So, the term business viability was
7 brought up in our group and after much debate --
8 you know, there was two -- two streams of thought.
9 The first stream was that yes, that might be
10 important because as somebody in our group
11 mentioned, I thought it was a good point, you
12 know, we're investing -- you know, practitioners,
13 payers are investing resources, capital into new
14 products in digital health. And if that company
15 is gone in a year that might be very disruptive to
16 the care paradigm for those specific individuals.
17 And another group had a counterpoint basically
18 saying that the FDA had no business assessing
19 business viability which is obviously a strong
20 argument there. So, we evolved that conversation
21 into less of business viability and more into
22 assessment of a company's sustainability and

1 that's where we ended that discussion. On the
2 objective indicators we thought that both sub --
3 subgroups believe that there has to be some sort
4 of indicator or objective metric surrounding
5 multidisciplinarity. I think that's a brand new
6 term, but basically how do we assess. So, we've
7 heard through the last few groups
8 multidisciplinary input, cross functional input.
9 On a strategy perspective we thought that -- the
10 example given was if the VP of marketing sitting
11 in a room making all the strategic decision
12 making, that's probably not appropriate. So
13 that's something that we thought would be a good
14 indicator. More focus on cyber security, we
15 thought that that category of strategy didn't
16 really have any focal points on cyber security.
17 We also wanted to emphasize excellence in human
18 capital when making strategic determinations and
19 we wanted to change the terminology. There was
20 one indicator that says for strategy, high rate of
21 customer adoption is a good objective indicator,
22 but we kind of thought about several examples

1 where, you know, a change or (inaudible) in
2 adoption is adequate or a high rate of adoption is
3 maybe too generic to characterize. So, we thought
4 that, you know, having some more definition or
5 changing high rate of adoption to expected
6 adoption or to increase in adoption or something
7 similar would be more appropriate. And the last
8 couple of comments I have are more general. I
9 think two things emerged here, is that strategy
10 seems to be one of those enablers that might be
11 difficult to scale, to smaller organizations
12 mainly because again if you think about just from
13 a resource perspective a lot of the things that
14 the characteristics of excellence you're asking of
15 companies may be difficult to do when you have a
16 one or two person company. However, we -- we did
17 feel strongly that maybe this is one of those
18 situations where it's a minimal threshold or a
19 minimal floor where if a company is developing
20 higher risk products, for instance, regardless of
21 whether you're a one or two person company or a
22 20,000 person company, we -- maybe that's the

1 floor that you need to meet in terms of strategy.
2 And the last thing that emerged obviously was
3 concern about the -- you know, this is an
4 overarching theme for strategy, one of the things
5 that emerge was a -- a concern about FDA's
6 jurisdiction and how to approach, you know,
7 strategy, what exactly should FDA be looking at,
8 you know, in terms of a company's strategy. Is it
9 truly business strategy, business modelling? We --
10 you know, we strongly felt that that was maybe not
11 in -- directly tied to the precert. So a little
12 bit more information about what that is would be
13 very helpful.

14 MS. CRUZ: All right. And so again turning to
15 moderators? Okay. I was the other moderator for
16 Group 8. Anything that worked well in terms of
17 process, any lessons learned from the
18 conversation?

19 UNIDENTIFIED MALE: First off, I'd like to --
20 I'd like to thank everybody in my group. They
21 made my life easy. They were very participatory,
22 very engaged. I think what I learned most

1 importantly, we need to continue to have these
2 kind of discussions because we were just getting
3 warmed up. And I think we need to figure out a
4 way to do that in the future. I heard an
5 interesting concept that didn't get mentioned, was
6 this digital health strategy, that would be a
7 separate part of your strategic plan where you're
8 sort of thinking about the problem that way and
9 then you can integrate that back into your
10 existing strategy. So I thought that was very
11 interesting. That would include product,
12 customers, clinical (inaudible) application, all
13 those kind of things.

14 UNIDENTIFIED FEMALE: There was also a comment
15 about culture and that integration -- that
16 feedback does relay back to the culture of the
17 company. And I thought that was a good highlight.
18 It is part of the triangulation that I think Bakul
19 mentioned. So one aspect leads to another, it
20 comes full circle. You can see it in another part
21 of the metric. It's not just isolated in and of
22 itself. That's what I meant by triangulation.

1 But I think -- thank you, group. There was a lot
2 of good input. We had a smaller crowd and I think
3 the majority were able to each say something.
4 There was lot of questioning on, yes, should FDA
5 be looking at strategy, certain aspects of it, how
6 do we look at the regulations behind it and again,
7 I encourage just participants to keep in mind that
8 that will come. But at this point we have to
9 generate the conversation first.

10 MS. CRUZ: Perfect. And I don't think I have
11 too much to add. I would definitely echo David's
12 point, that our group struggled with a number of
13 aspects of the terminology that they -- they
14 pointed out that there's a fair amount of room to
15 go in sort of crystallizing how FDA is thinking
16 about these terms and then how the broader kind of
17 digital health community is thinking about these
18 terms. So, that was -- that was definitely a
19 takeaway for me. Okay, can we give everyone a
20 round of applause from Group 3 and 8? Okay, read
21 out and moderators for Group 4 and 9, focus on
22 partnerships and resources.

1 UNIDENTIFIED MALE: So I guess I should -- I'm
2 going to start by saying that I felt like I drew
3 the short straw on this topic. But the dialogue
4 was actually quite amazing. So, I think my first
5 point would be around community. This was
6 definitely a community discussion. I think the
7 moderator and -- and FDA both did a great job of
8 attempting to steer us. I think we got a lot of
9 the ultimate goals out of it, although I think
10 someone earlier just said it was a warm up. It
11 was absolutely just a warm up to the discussions
12 to come. Four key points I think that we took
13 away from it. The first, the way these frameworks
14 are written, I find that they're often hard for
15 people to understand how to use them. I have
16 personal experience with CMM 1.1. I remember
17 going through that process and everybody looking
18 at that thinking, 'But I don't do this, I don't
19 think this makes sense, but I got to do this
20 because it's there.' And I think we saw that in
21 the discussions we had there and the idea that
22 sometimes these things don't make sense and your

1 business doesn't come out, right? Or how to -- or
2 how to handle it doesn't come out and so a couple
3 of lines of thought that developed there, could we
4 look at how we word these to make it a little -- a
5 little clearer, that this isn't just a checklist
6 or, you know, a spec that I've always -- that --
7 it's hard to say enjoy specs. But a spec that
8 I've always appreciated was TIR36 because it had
9 all the specifications section, but then it has a
10 lot of tangible examples that -- you can't always
11 use them identically, but it gives you, sort of, a
12 form of reference for interpretation, right? So,
13 that was definitely something that you saw come up
14 over and over. Everything -- you know, the idea
15 that yeah, this still could apply to you, but it
16 applies in a sense that you say it doesn't apply.
17 That doesn't -- you know, that just doesn't seem
18 intuitive to people and how you say that is not
19 always clear and I think -- I've experienced in --
20 in different size companies, I -- you know, what
21 actually gets me to my second point, which is it
22 was less about large and small in many ways as we

1 talked through this. The differences in --
2 between hardware and software were far greater
3 than the differences between large and small. I'm
4 currently at a very large organization and I can
5 say that many of our -- I struggle every day with
6 making software in this regulated environment,
7 right? And I'm not -- I'm not personally
8 convinced the differences are as great between
9 large and small, we -- in this -- in this
10 particular sub category I think we're going to
11 have a lot of the same struggles, right? A large
12 company may have more structure, may have more
13 resources, those resources actually just bring
14 more paperwork often than -- than benefit. But we
15 all struggle with the same thing, you know, how do
16 we -- how do we know that what we're doing meets
17 what's needed? How do we -- how do we know it
18 meets what's needed for the FDA? You know, there
19 was a comment earlier about -- on the strategy
20 section about transparency from the FDA. This is,
21 I'd say, one of my daily struggles, is, you know,
22 we think we're doing the right thing, but is this

1 going to satisfy? And that's what kind of unique
2 about this program, is that hopefully by doing the
3 right thing we are satisfying. One of the things
4 that came out, a comment that I really liked was
5 the key here is evidence of a thoughtful decision
6 making in terms of suppliers. And I think, you
7 know, when you strip away the bureaucracy that's
8 really ultimately what you're trying to look at
9 and a small company can do that. You know, a
10 large company would probably have a lot of
11 bureaucracy around it which creates structure, but
12 a small company can do that as well. You know,
13 it's just a matter of having a criteria for your
14 selection and just writing it down and showing
15 that you did it, right? There's some interesting
16 conversations around whether you have all the
17 criteria upfront or whether it's okay for that
18 criteria to come later through usage and -- and
19 where that would sometimes apply, maybe sometimes
20 wouldn't. And so, you know, which really -- which
21 goes onto the next big observation. One of the
22 things that was clearly unique about software I

1 think compared to what -- when you look at
2 existing regulations, you look at how it's
3 approached is that software is a lot different
4 than hardware. We have -- you know, just the
5 number -- we talked about the fact that part of
6 the challenge in the space of -- of resources and
7 partnerships is we have so many different types of
8 partnerships and software. It's a lot different
9 than hardware, you know. We had everything from
10 open source to, 'I bought this library and now I
11 have it and that's all I really need to know and
12 if they go out of business I still have it,' to
13 'Software's a service where, you know, the
14 continuity of that company could be critical to --
15 to the care continuum,' right? And this -- this
16 looks really different than the hardware space
17 looks. So, you know, as we look at this -- the
18 criteria, we have a very -- we had a very
19 interesting set of criteria that didn't all -- it
20 didn't look at all like the hardware centric
21 criteria that I think made it on to the examples.
22 But even in there they're going to look very

1 different depending on the type of partnership and
2 type of engagement that you're pursuing. So, that
3 was I thought very interesting. There was also
4 some -- Robert -- and I'm sorry, Robert, I don't --
5 --I know we exchanged emails, but I didn't get your
6 last name, had some really interesting parallels
7 to the auto industry that he was trying to bring
8 up in terms of how we might model this. So some
9 really interesting conversations to look at. Are
10 there other regulated environments that could give
11 us some guidepost for -- for this type of
12 engagement. And actually, I'll just end with that
13 -- that sense -- you know, this really was a
14 community discussion and that sense of community,
15 you know, we started exchanging emails and contact
16 information. So I think that's -- that's a sign
17 of a really, really big success of this -- of this
18 day, that, you know, we're not only bridging the
19 connections with the FDA, but we're actually
20 starting to bridge the connections between
21 ourselves and large, small, start-up, established,
22 so ...

1 UNIDENTIFIED FEMALE: Okay. So our group also
2 discussed partnerships and resources. I want to
3 highlight some of the takeaway points that our
4 group discussed. So as going through the
5 characteristics of excellence, we noticed a common
6 denominator among them. So we decided to include
7 that as an additional characteristic, that being
8 sustainability. We also discussed that not only
9 do these characteristics should -- should they be
10 properly managed, but they should also be well
11 developed and maintained and that there be
12 transparency. We agree that all of the discussed
13 characteristics meet the five excellence
14 principles. We discussed metrics of partnerships
15 and resources. A few key ones are number of
16 partnerships, quality of the partnerships and
17 diversity of partnerships. An example of a
18 measure of the quality of partnerships, for
19 example, would be, say, a scorecard for that
20 partnership. And we too recognize the challenges
21 of assigning objective indicators to startups and
22 smaller companies. It's interesting. We -- we

1 discussed the -- the size differences among the
2 organizations. But just touched upon the
3 differences between the software and hardware
4 differences, so that'll be an interesting
5 discussion to take back with us at the next --
6 next session. But one of the -- one of the
7 characteristics that we thought may not be
8 applicable for, say, a smaller start-up company is
9 when evaluating finances. And so we thought of
10 additional indicators that may be more applicable
11 that could be reviewed such as budgeting and
12 forecasting, planning for funding or other
13 indicators of financial strength and foresight.
14 We agree and I think this was alluded to
15 previously that a small company doesn't always
16 stay small, so that there should be an organic
17 sort of evolution of these objective indicators
18 and this can only be achieved through a well-
19 documented, well-maintained process with continued
20 transparency. Thank you.

21 MS. CRUZ: Perfect. And the same questions,
22 any lessons learned from the moderators?

1 MR. VICENTY: So, actually, yeah. We had a
2 little different dynamic when we started the
3 discussion in the session. There was a suggestion
4 originally about breaking up the team, as opposed
5 to the original plan, to small and big
6 manufacturers to really start to get that dynamic
7 and perspective. And I think the -- the whole
8 consensus was, no, let's just work together as a
9 group and get that stuff out there and I think the
10 evolution of that really allowed some really great
11 discussions and really great perspective. And
12 even within the community itself to really
13 understand that there isn't necessarily a
14 difference in what's being asked for or how it's
15 being -- or -- or what these models can get to,
16 it's the execution piece, right? Different
17 concerns, different elements, different
18 demonstration of it that I think is really what
19 drove that. And I think that -- that
20 understanding and alignment coming out is a great
21 takeaway for just the general discussion about
22 small and big manufacturers. This -- these

1 concepts apply, the way you do them may look
2 different, but it's still visibly there. One
3 great example -- and this is actually something
4 that came up with regards to the excellence
5 principles themselves within this space and in
6 regards to with software versus hardware, somebody
7 brought up the idea that maybe an excellence
8 principle that should be considered an extra
9 characteristic is, 'What is an effective, maybe
10 more continuous monitoring strategy for your
11 suppliers? Do you have something like that in
12 place? Can you respond and react to it?' So, the
13 concepts came back to again an idea of how do you
14 measure and monitor your ability to -- to respond
15 to situations and keep an eye on that mode versus
16 prescribing all that criteria upfront? So, that
17 was a -- I thought something that was a really
18 good takeaway to bring back and consider because
19 it touches there. And I think a lot of discussion
20 also helped, you know, when people started
21 breaking down what these elements were, how they
22 link together, right? Some of the elements that

1 fall into strategy would feed into what happens in
2 the partnership management or how you develop even
3 your plans to manage that specific partnership.
4 So, I thought there was a lot of great insight
5 there. We didn't get to discussion of some KPIs,
6 but I think two things that I would at least take
7 for discussion later for anybody in the other
8 break-out sessions is, one, all options right now
9 are on the table, right? We are in the early
10 stages of a lot of this design, so don't limit
11 yourself to what, you know, you think can or FDA
12 will or will not do. It really is an open -- an
13 open blank slate for what makes sense. It's just
14 if we're suggesting one thing, then there's got to
15 be some other checks and balances along the way to
16 -- to measure up with that. And then the last one
17 would be the -- just the idea that these models --
18 because this was a big confusion point, they're
19 not intended to be what FDA necessarily is going
20 to come in and look for. We're not going to look
21 into all your finances, but we want to understand,
22 is it an excellence principle that you factor that

1 when you look at your suppliers at least in this
2 category? So, help us, you know, figure out --
3 backtrack from these activities and what's in
4 these processes, what it is exactly that we would
5 care about from the assessment standpoint in some
6 way, shape or form.

7 UNIDENTIFIED FEMALE: So, similarly in our
8 group we had fewer people than we had expected to
9 have originally. So it made it nice because we
10 were able to have a more intimate conversation.
11 We looked at the distribution also of our group
12 and we were highly skewed towards larger
13 manufacturers with fewer smaller developers in --
14 in the audience. And so I think the conversation
15 was definitely enriched by having everybody
16 participate together. One of the things we did
17 learn and think about at every single stage for
18 every single excellence principle as well as all
19 the characteristics of the enabler is how does it
20 fit for small versus large companies? And we
21 found some -- some challenges in some cases with
22 how to put that together. And our group spent a

1 lot of time discussing that. The other thing we
2 found as a challenge was language, how we defined
3 each of those different characteristics from what
4 does partnership even mean, are we talking about
5 formal or informal partnerships? Who are all the
6 parties when we think about a partnership? So --
7 so those were things that we actually discussed in
8 our group and -- and alluded to in prior
9 discussions that language probably needs to be
10 flushed out a bit more so that we're all speaking,
11 using the same terms and understanding what
12 another is -- is meaning in that conversation.
13 And the last point, I think we had some really
14 creative ideas of ways to find metrics that may
15 fit better for smaller businesses, particularly
16 ones that may not have a product yet but are in
17 the process of developing their product such as
18 Angel Investors and what -- the score they would
19 give them of the audits, things of that sort, that
20 would be an alternative metric for the small
21 businesses compared to the larger businesses.

22 MS. CRUZ: Thank you very much. Any burning

1 questions for the groups focused on partnerships
2 and resources?

3 UNIDENTIFIED MALE: Yes, I had a very quick
4 question though. You both addressed this question
5 of, well, hardware's very different than software.
6 But given conversations at the Morgan (ph)
7 conference and Alpha Conference, what we just
8 talked about, other than tongue depressors and Q-
9 tips, I feel like almost every medical device is
10 software. The software part is inculcated into
11 almost every single medical device that I'm
12 hearing about, being hyped or being talked about.
13 So, when you said there's this huge difference
14 between partnerships, et cetera, on the hardware
15 versus software side, I feel like that's -- we're
16 getting really close to a blend with almost every
17 object. So, did you guys talk at all about that
18 in this -- on the differentiation of partnerships?

19 UNIDENTIFIED MALE: We definitely didn't talk
20 about the blend. But I mean I do work in devices
21 -- I -- I work in pure software devices as well as
22 devices that are mixed and, you know, the hardware

1 stuff is still there. It makes sense -- what I
2 see for the hardware side makes sense, but it's
3 clear these regulations were developed before
4 software existed. And so a lot of decisions we
5 make, it's -- they just don't -- or the way we
6 manage that work just doesn't fit into the kinds
7 of things that existing regulations are looking
8 for, would be how I'd look at it and I think -- I
9 struggle with this even (inaudible)embedded where
10 hardware is a consideration. I struggle with the
11 same problems that I struggled with when mobile
12 applications were developing. There's -- there's
13 more similarity than difference in the struggle
14 and it's all related to -- we're not talking in
15 software terms yet.

16 UNIDENTIFIED FEMALE: Sure. Yeah, I just want
17 to add one point that we -- we did discuss during
18 our session, was -- I mean, I agree with you
19 completely. However, the -- I guess from personal
20 experience, what I can comment on is that often
21 times the software groups and the hardware groups,
22 the developers themselves are working on two

1 different timelines and that coordination needs to
2 be there. Additionally, we discussed that
3 upfront, that there needs to be a proper plan and
4 that plan should include a communication plan to
5 the customers and also -- you know, if any
6 training or whatever needs to be included in that,
7 should all be decided upfront. And another thing
8 we -- we talked about was, you know, technology
9 subset and planning for that ahead of time.

10 MS. CRUZ: Perfect, thank you. One additional
11 question.

12 UNIDENTIFIED MALE: Yes. I wonder if you have
13 a (inaudible) that the overall size of this
14 exercise should at all be restricted. It seems
15 that we're talking about small and larger
16 companies and we want a model that fits both. But
17 I also wonder if we shouldn't aim for an exercise
18 that is actually doable for both, you know, large
19 and small and the idea that you're building a way
20 to measure the excellence could, you know, give
21 work to all the consultants in this group for the
22 next, you know, ten years. So I wonder if it

1 wouldn't be useful to put a limit on -- and I
2 don't know how you do that, but to put a limit on
3 the overall size of a precertification.

4 MS. CRUZ: Okay. I think that's -- unless you
5 have a comment, Bakul, I think that's probably
6 just a comment for the -- for general
7 consideration for our group?

8 UNIDENTIFIED MALE: (Off microphone.)

9 MS. CRUZ: Sure.

10 UNIDENTIFIED MALE: I would just say that --
11 you know, I would just say that FDA wasn't in
12 there telling us what to do. They were really --
13 'tell us what makes sense' and so certainly I'm
14 looking to limit the impact on my organization
15 that slows down development in progress. So in
16 some ways I think maybe this -- this process is
17 leading us in that direction already.

18 MS. CRUZ: Thank you. Let's give a round of
19 applause to Groups 4 and 9. Okay, and our last
20 pair of the day, Groups 5 and 10 focused on
21 processes.

22 MR. MCFARLAND: Yeah, thanks. So we were

1 talking about process. I'm from Group 5, Ian
2 McFarland, Pear Therapeutics. And we kind of
3 started by looking at all these terms and I think
4 everybody in the group felt that they kind of were
5 vague. It's almost a projective test about -- you
6 know, everybody wants excellence, everybody wants
7 value. But I think one of the things that came up
8 really quickly for us is how would you measure
9 this, how would you look at the set of criteria
10 and apply it across companies and come to
11 something that was digestible and understandable,
12 that would inform clinicians, that would inform
13 patients but also of course would inform the
14 agency because I think there's a duality here.
15 We're trying to build a bunch of criteria that the
16 agency can evaluate and make transparent and
17 decide whether or not we've achieved excellence.
18 So we kind of started by treading a lot of this,
19 trying to get away from what Howard from the
20 (inaudible) scorecard called weasel words, like
21 what was one of -- value I think was one --

22 UNIDENTIFIED FEMALE: Optimized.

1 MR. MCFARLAND: -- optimized, yes. Like,
2 total consultant words, right? So I've been a
3 consultant. I've used these words, hopefully not
4 in anger. But so we really tried to go back to,
5 'What are we trying to accomplish?' and I think we
6 distilled this down quite a bit. We really
7 focused around delivering meaningful safety
8 endpoints and meaningful -- like, the question of
9 safety also kept coming up as like -- I think a
10 lot of folks felt that the precert program -- I
11 mean, it's really about the manufacturing
12 practices primarily at this stage. But this
13 question of safety and efficacy keeps coming up.
14 And -- and obviously that's kind of a label claims
15 question and obviously that's going to be a later
16 stage. But I think when we're thinking about the
17 processes that are going to deliver that kind of
18 value we really wanted to get into that. So we
19 kind of -- I put these up just because I thought
20 it's useful to look at the actual words we came up
21 -- we spent a lot of time on the words for this
22 stuff. Really trying to focus on products and

1 services that develop -- that are developed and
2 developed, promoted and marketed to deliver value
3 according to the intended use. And I think
4 intended use was also one of these things that
5 kept, sort of, slipping out of our thinking about
6 the process. The word value, we kept, like,
7 stumbling on it and I'm sort of jumping down at
8 the bottom here. This idea of what value means, I
9 think we kind of need a new word and a -- like
10 almost a defined term to talk about what we mean
11 when we mean value. I think it's really important
12 for us to care about patient safety, efficacy,
13 appropriateness for intended use, cyber security,
14 a whole set of things that aren't just like
15 business value, they're really patient value --
16 patient value expressed in -- across a multiple
17 axis. There was a whole big side discussion about
18 whether the agency should care about business
19 value. On some level, economics enforce business
20 value -- and I think there's a duality there too.
21 I think, like, the idea of continuity of care is a
22 really significant consideration and I think it is

1 actually valid for the agency to say, 'Well, okay,
2 we're going to let you put this out, but we need
3 you to be around long enough for patients to use
4 you and you're -- you can't just disappear in the
5 middle of treatment.' That has a real impact on
6 patient value. So we spent a lot of time with,
7 sort of, going through all of these different
8 terms. But -- I've gone through the other -- sort
9 of other three framings that we came up with for -
10 - for processes. We're also really concerned
11 about user centric processes used to consistently
12 produce safe and effective products, systems and
13 services that meet the intended use. And at some
14 point, we also said maybe we should really combine
15 one and two and I think we had more work to do to
16 really combine one and two. By the way, in the
17 process of thinking about these things, we're also
18 thinking about do we want a large number or a
19 small number of litmus tests that can really
20 demonstrate value because it's kind of hard to
21 hold in your head a hundred different topics.
22 Really, we kind of want to get to core principles

1 that -- that drive towards the end values that we
2 care about. That -- the third one is like safety
3 issues are systematically anticipated, monitored,
4 managed, mitigated. Like, I think trying to have
5 a -- like safety frame in -- in our processes is
6 really critical, that the processes are well
7 defined, followed, transparent, communicated,
8 monitored, and continuously improved. Followed
9 was an interesting word in here. You can have a
10 great process, but if everybody in the team isn't
11 embodying that process, it's not really that
12 useful. We think that trying to get to where it's
13 an intrinsic part of the process was a really
14 important piece, that, you know, everybody on the
15 team should know what the process is, not because
16 they read it in the manual, not because the
17 document is available, but because they're doing
18 it every day and really, it should be emerging
19 from how you build great products. One of things
20 that also came out of all this discussion, we also
21 like many of the other groups talked about large
22 and small companies. We had the advantage that --

1 that Howard and I were there representing the
2 smallest of the companies but we also had folks
3 from Roche and J&J and Verily in the room, plus
4 other large pharma companies that -- and other
5 companies that were not part of the precert
6 program. But we kind of took a really contrarian
7 view that actually the smaller companies have a
8 real advantage in this. They're able to build
9 intrinsically into their systems much more deeply
10 these kinds of principles and ultimately if we're
11 not measuring something that has real efficacy
12 value, we probably shouldn't be measuring it and
13 if it does have real value in -- in the areas of
14 patient's safety and efficacy then we shouldn't be
15 lowering the bar for small companies and I say
16 that as a small company person. You (inaudible)
17 that. So, I encourage the agency not to have a
18 totally two speed approach. We think that if we
19 care about rigor we should care about it equally.
20 Maybe how you demonstrate it is different at a
21 very large scale company. Obviously to the point
22 somebody made earlier, if you're sitting across a

1 table from everybody in your team, communication
2 methodology is not as -- doesn't need to be as
3 elaborate. You should still talk about certain
4 things. You should still have stakeholders
5 present. But it's easier to do it in a small
6 company than a larger company. We got a little
7 hung up when we tried to get into how you measure
8 this stuff. It got very -- not very far in
9 looking at what are some KPIs we can clearly look
10 at for this stuff. We tried to map them as much
11 as possible to multiple of these sort of hallmarks
12 of quality and I think that's a nice approach. We
13 don't want a million metrics. We want a few
14 metrics. Okay. And I will wrap ...

15 MS. CRUZ: Thank you very much. Other group?

16 UNIDENTIFIED FEMALE: So we did kind of a
17 bifurcated process. We talked about processes, so
18 we spent half of the time -- half of the group
19 spent their time rewriting the processes and the
20 other half spent their time on the other exercise.
21 And so, they might not perfectly match. But we
22 talked a lot and I already emailed Marisa, so I'm

1 not going to read all of our new processes. But
2 one of the conversations we had is that we need a
3 process to make sure that processes are
4 continuously improved, so like very meta but
5 probably necessary. The other thing we had a lot
6 of conversations about was users versus customers
7 and where FDA should focus and a lot of our folks
8 had some really strong feelings about whether or
9 not FDA should care about their business
10 relationships and their customers or about the,
11 you know, public health and safety and the users,
12 being a patient and provider, so we had a lot of
13 conversations about that which was really
14 interesting and let me turn it over to you to
15 really do a read out of what we did.

16 UNIDENTIFIED MALE: Okay. So, yeah, our team
17 was struck with the terminology as well, with
18 customer and user, then safety and beneficial and
19 something what you -- optimized. So we struck as
20 we go to (inaudible) but as we agree on -- so the
21 overall theme is the -- all the characteristic of
22 the excellent touches or the excellent principle.

1 One interesting take is proactive culture, how
2 people want to bring it integrated into more of a
3 software development process. For example, like,
4 software should kind of (inaudible) tech era and
5 sell correct as well, so I thought that was an
6 interesting take. And then as we looked through
7 the KPI a lot of us want to kind of break down.
8 For example, one person say that the process of
9 delivering high quality may not deliver a safe
10 product, so they want to break down the product
11 quality and safety to measure that separately.

12 MS. CRUZ: Okay. Perfect. Any last
13 takeaways? Do you guys feel like you covered the
14 moderator perspective? Any questions for the
15 group's focus on process? Okay. I know we're
16 standing between you and lunch. So, we will break
17 for lunch now. We'll regroup at 1:00 p.m. and
18 dive right into break-out 2, focus and results and
19 then work on wrapping it all up. Thank you.

20 (A break was taken.)

21 MS. CRUZ: Okay. Great. So, thank you
22 everyone. So we're going to again structure this

1 slightly differently. We're going to have the
2 groups that were focusing on customers go first.
3 That's 1 -- Groups 1, 5 and 10. If the people
4 doing the readouts could give a brief overview of
5 the discussion, two to three high level takeaways
6 or themes and then we'll cycle on to the next
7 results category.

8 MR. KAPPE: All right. So we were dealing
9 with ...

10 MS. CRUZ: Sorry, for the purposes of the
11 reporting, could you introduce yourself and your
12 group before you speak?

13 MR. KAPPE: Okay. So, I'm Bernhard Kappe from
14 Orthogonal and we were Group 10 dealing with
15 customers. So, there were a couple of big scenes
16 I think that came out and a couple of things that
17 were -- caused lively debate. One of the things
18 that was a -- a big emphasis that resonated was
19 the concept of active monitoring, so really doing
20 that throughout the life cycle, both qualitatively
21 and quantitatively through the entire process. A
22 second one was don't be fearful of the data. You

1 know, there are lots of different potential data
2 sources, you know, from FDA, from the company,
3 passive from the market, embrace all those -- that
4 data and don't be fearful of it, use it. At the
5 same time there were -- was a lively debate as to
6 what is proprietary, what should we disclose, are
7 we willing to disclose as far as data versus what
8 we capture and use. That's something that really
9 will need to be thought through carefully by the
10 FDA and by all the participants. In some cases,
11 there may be standards and benchmarks that we --
12 that we can leverage and use, but very often there
13 -- some of those standards are just not there yet
14 and they may need to be created, so thinking about
15 benchmarking in the context of what are people
16 willing to share. And then brand was an area
17 where, you know, the traditional brand concepts
18 may be more appropriate for larger companies
19 versus earlier stage or startup companies and
20 maybe there are some other measures that could
21 substitute for brand. We certainly felt that this
22 area touched on all of the main principles.

1 Another area we thought needed a little bit better
2 or further clarification was around what do we
3 mean by customers in this? We took the view of a,
4 sort of, a larger -- larger scope, you know,
5 users, people who pay for it and other
6 stakeholders within this, but I think that -- you
7 know, that term needs to be clarified a lot better
8 in -- in the course of this.

9 MS. CRUZ: Great. Group 1 or 5?

10 MR. BERNSTEIN: Group 1, Danny Bernstein,
11 metaMe Health. So, I think I can echo some of
12 that. I think one of the things that was brought
13 up was the -- whether the application here is
14 thinking about pre or post market, what's the
15 intention and perhaps some language that kind of
16 backs up, gives more depth into -- to some of
17 these characteristics, so we know how to think
18 about them perhaps. Also, something that came up
19 throughout was the difference between referring to
20 these as products as opposed to a product, so
21 perhaps a product for a company who's doing this
22 for the first time versus products for the company

1 that is eventually putting out two and more
2 products. The other piece for benchmark, I think
3 part of it was to evaluate perhaps process the
4 company takes towards quality, rather than some
5 fixed standards. And so that's part of the
6 culture. And -- and then the benchmarks also to
7 match the company size and to recognize that KPIs
8 might be iterated over time. On the example
9 metrics there was some question as to whether
10 brand reputation should be included and then also
11 perhaps the collapsing outputs from human factor
12 studies and usability testing results. And I
13 think for the most part that's primarily it.

14 MS. CRUZ: Thank you.

15 MR. LOOK: I'm Howard Look, Tidepool. We're
16 Group 5, also looking at indicators from a
17 customer perspective. We got right into it and
18 identified some interesting themes. One is
19 there's a notion of a primary indicator that we
20 think the FDA should be looking at and then
21 there's a notion of a secondary indicator where we
22 as a company might want to look at it, but we

1 actually don't think it's the FDA's business to
2 look at the actual metric itself. And similarly,
3 we thought brand reputation or your retention and
4 engagement metrics, for example, it's not
5 important that the FDA actually look at those
6 things. If I want to call my app, makes you barf
7 daily, that's my problem. But the fact that I'm
8 tracking what the results of that brand are, we
9 can say to the FDA, yes, we track that but what
10 the actual outcome is, is our problem. So, there
11 -- it's a notion of primary and secondary
12 indicators. And then we also had a notion of
13 leading indicators and trailing indicators.
14 Leading indicators are things that we actually
15 measure as we're developing before we deliver to
16 customers. Trailing are things that you might do
17 post market. And then we went through and came up
18 with a bunch of ideas. So, obviously things like
19 defect rates, adverse events, efficacy are all
20 primary indicators, voice of the consumer being
21 traced back to new product requirements would be a
22 trailing indicator, but would also be primary

1 because we do think it's important for the FDA to
2 look at. Customer understanding of risk and
3 benefits, clearly expressing what the risk of your
4 product is and making sure that the customer
5 understands it would be something that is primary.
6 Sorry, I have lots of great notes here on a big
7 piece of paper. Consideration of customer impact
8 in decision making, this was an interesting one.
9 The example of your CEO comes in at the last
10 minute and says, 'No, we should change that' and
11 imposes a change going outside of your usual
12 process. Measuring -- paying attention to what
13 the impact of that on the customer are and noting
14 that it was a deviation from your defined process
15 and measuring when that happens and what the
16 effect of that is. And then another theme as with
17 the prior two groups was all about analyzing and
18 reacting to data. We think this is probably the
19 biggest thing and perhaps is worthy of being a
20 sixth pillar, right? The notion that as software
21 developers, we -- it should be incumbent on us to
22 make sure that our software can gather data and it

1 should be from real customers and we're paying
2 very close attention to data quality. And we're
3 looking at the performance of our software and
4 we're looking at raw data, not just cleansed down
5 the -- downstream data. So, I think I covered
6 everything.

7 MS. CRUZ: Thank you.

8 MR. LOOK: Oh, sorry, there's one more thing.
9 We had -- we all had a universal reaction to the
10 word customer and we don't think they should be
11 called customers. They should be users or
12 intended users.

13 MS. CRUZ: Okay. Let's move on to Groups 2
14 and 6 who were focused on business.

15 MR. KERR: Hello, I'm Matthew Kerr from Abbott
16 and our group was looking at a series of business
17 metrics, so we were given a list including things
18 like market valuation and market share. Sorry.
19 At a high level, our group generally believed that
20 most of these business metrics were not that
21 relevant for the FDA. If they were applicable
22 they may be applicable in the post market

1 surveillance phase and they're of course only
2 applicable to companies that have already launched
3 a product and there's a history to evaluate.
4 There was a bit greater enthusiasm for tracking
5 things like consumer satisfaction, which goes back
6 to the prior group's point. However, there was an
7 area of the business metrics we believed was
8 important and that was the supplier quality. We
9 believe that it matters that if a company is
10 contracting out some of their supplies, that the
11 quality of those suppliers matters and so if there
12 are contract disputes, if there are mistakes,
13 whatever the metrics are around that, that those
14 are meaningful and relevant to the FDA. Finally,
15 as a third point, this is a subcategory of
16 supplier in a way, but we recognize that cloud
17 service providers are a particularly difficult
18 issue. Intuitively, it doesn't seem to make sense
19 for a whole bunch of companies to try to create
20 that service on their own. There's a risk in the
21 stakes there. On the flip side it could be very
22 difficult for a company to go and audit or

1 evaluate some massive cloud service provider. So,
2 we didn't have a clear answer, but we believe
3 that's an important issue there should be clear
4 guidance on.

5 MS. GEORGE: Elisabeth George with Philips.
6 We're in Group 2. We had the same areas to
7 evaluate. Yes, we're a very rowdy group back
8 there. You notice we had to come up with two this
9 time. So, like my colleague here, we too had some
10 significant concerns. Actually, we put no to
11 money on our sticky notes, so we didn't feel that
12 those were areas to monitor from an FDA standpoint
13 for precert because we felt it was really most
14 important to focus on the product and the
15 processes. With the second area of it we had
16 discussion on was resource allocation. We felt it
17 really should be adequacy of resources with the
18 focus being on the ability to execute the activity
19 as defined and we felt that was much more
20 important than the -- than money element. Now I'm
21 going to hand off to my partner in crime.

22 MS. CODER: 2.2, Megan Coder, Digital

1 Therapeutics Alliance. And for the second half of
2 our group, reliability really underpinned all
3 three of the things we added. So, looking at the
4 four characteristics, we felt that the first two
5 in financial resource probably not. The second
6 two are good. But then adding the third, the
7 entire supply chain must have this highest level
8 of quality across the board. Next one, business
9 continuity, there has to be consistency and
10 strength in your employee base. Losing one loses
11 -- who's really important, integral to your
12 processes could really affect your organization,
13 losing more that could even have greater impact.
14 And then we talked about having a customer
15 preference process, not just knowing what customer
16 complaints are on the regulatory side or knowing
17 what their likes are on the marketing side. But
18 really what is a proactive way to understand the
19 preferences of your customers.

20 MS. CRUZ: Thank you very much. Moving on to
21 society and public health, Groups 3, 7 and 9.

22 MS. MODARES: So Group 3, thank you all for

1 your comments. We identified ...

2 MS. CRUZ: Introduce yourself, Roxane.

3 MS. MODARES: Roxane Modares, FDA and John
4 Murray was also my co-moderator, FDA. So, we
5 identified a few top five for our public health
6 and society, access -- access to the devices,
7 updating the software, it should be continuous.
8 There should be no hold up, so improving that
9 speed to updating. Cyber security, they
10 identified was also essential. Something I
11 thought that stood out that was really great was
12 how -- how easily can you share this information,
13 the digital health data that we have, what is the
14 capability in -- in the clinical use. So, you
15 have a lot of data. Can you make it applicable
16 and appropriate for clinical use, doesn't
17 necessarily have to, I guess, just be clinical.
18 But an appropriate format and there was a lot of
19 discussion between satisfaction -- customer
20 satisfaction versus the patient safety and
21 efficacy. So being able to improve both of them
22 at the same time and not just choosing one or the

1 other, different concepts there. And let's see
2 here. Yeah, so back to assurance of access, one
3 of the members identified that that should be
4 another characteristic that has not been included
5 in our characteristics list, yes. John?

6 MR. MURRAY: I'm good.

7 MS. MODARES: All right, I think that's it.

8 MS. GURNEY: All right. Laila Gurney, GE
9 Healthcare from Group 7 here. So, one of the
10 things we struggled with was actually the -- the
11 way that this was put together with society and
12 public health actually. And while these
13 characteristics we felt are super important for a
14 great organization and an organization everybody
15 wants to work for, et cetera, some of this, same
16 with some of the business characteristics that
17 were named earlier, we felt didn't quite squarely
18 fall under what perhaps FDA should look at from a
19 precertified organization. Although the metrics
20 that are explained here, some of them do tie out
21 to some of the enablers that -- that we talked
22 about before such as impact on health outcomes,

1 for example or, you know, perhaps if we want to
2 look at patient safety more closely here as a
3 characteristic or a group versus like society.
4 Some of these characteristics could perhaps be
5 worded a little bit differently or maybe more
6 specific because they're so broad, they could --
7 they could -- easily you could fit anything under
8 them. And they would apply across the board along
9 the excellence principles, but they are a little
10 too broad to be able to put our arms around it, so
11 some of these could be made a little bit more
12 specific and perhaps a little bit more applicable
13 to those various enablers.

14 MS. CRUZ: And I think we have Group 9?

15 MS. SHRADER: Pat Shrader, Hogan Lovells.
16 Group 9 was stunningly quiet and I was thinking
17 that it was because it was after lunch, but now
18 that I hear everyone else talking a lot, I don't
19 know, nap time. But I thought at the time that
20 perhaps what it was is that the group saw a lot of
21 good alignment between society and public health
22 and the excellence principles. There wasn't a lot

1 of discussion around that. We did talk as we had
2 talked earlier about the challenges of defining
3 the characteristics that would be expected of an
4 excellent and outstanding company that had no
5 product on the market versus a company that had
6 product on -- or multiple products on the market.
7 So, are you looking for -- are you actually able
8 to look for performance or do you really need to
9 look for good plans if you're talking about a
10 company that's venture funded and -- and pre-
11 market. The -- we had the most robust
12 conversation around customer feedback and our
13 first comment, which I've heard here already, is
14 well, you won't -- if you're looking at society
15 and public health, you're looking at a lot more
16 stakeholders than just customers. Certainly, you
17 always are interested in hearing from customers,
18 but there are many other components in a
19 healthcare system that you would want to hear from
20 as well. We also talked a bit about what it meant
21 to be integrated into a healthcare delivery
22 system. Were we looking for, you know, percentage

1 of adoption of a -- of new technology? Were we
2 really being product focused or did we really need
3 to be more company focused? Were we looking at
4 accessibility or were we looking at time to
5 adoption or ease of fitting something into
6 existing workflow such that a new technology would
7 not be disruptive? We also talked about how do
8 you measure things like social responsibility and
9 sustainability and there are of course third
10 parties that do that and there are companies that
11 participate in those annual assessments that are
12 done by third parties, so that's something that
13 could be considered, not necessarily whether --
14 whether the company got ranked, but whether the
15 company was interested in understanding whether it
16 ranked and if so where. So, we also talked a bit
17 about some -- some of the metrics I think require
18 a lot more definition because it wasn't really
19 clear how you would measure in certain things like
20 user retention. And somebody brought up the fact
21 that, you know, if you're developing software for
22 a hospital system but you're part of that system,

1 user retention isn't a very meaningful metric
2 because users may in fact actually have no choice.
3 So, I think there -- there was a lot of great
4 discussion, there's a lot of energy around
5 figuring out how to measure the impact on society
6 and public health and there's a lot of opportunity
7 here to better define those things.

8 MS. CRUZ: Thank you very much. And our last
9 group was focused on people and that was Groups 4
10 and 8.

11 MR. MCCRAY: (Off microphone.)

12 MS. CRUZ: Just press your button.

13 MR. MCCRAY: Rob McCray, Wireless-Life
14 Sciences Alliance. And we have a big and diverse
15 group and I'll use 'we.' It's hard to get a
16 consensus within 45 minutes on a topic as big as
17 this. I think, sort of, the grounding principles
18 for our discussions, the two today have been think
19 about a system that scales from very small
20 companies to very large companies. And one of the
21 questions that sort of continues to come up that
22 we run into in both discussions so far is to what

1 extent should it -- should it also be scaled to
2 the -- the product, the humanity risk or value of
3 the product. So I'll just set that aside. The
4 people -- the examples here, there were 13
5 examples. We did not examine those one by one. I
6 think there was a general consensus that depending
7 on the size of the company and the nature of the
8 product, all of those example metrics are very
9 good and important to the company and I suppose to
10 its stakeholders. But the concern is how much
11 should FDA -- how much does FDA have to examine
12 them itself and maybe underlying that is a concern
13 of how to avoid a process that ends up being more
14 burdensome than rather than less burdensome which
15 is certainly the goal. So when you boil it down,
16 there was absolute agreement that talent is
17 critical, having the right talent in any -- a
18 company of any size for a medical product is
19 critical and that's hard to judge. A big company
20 can have great talent but not the right talent for
21 a product. A small company may have little talent
22 generally but has just the right talent for them

1 to start a product. So -- but that is critical
2 and getting at that and somehow measuring it is
3 important. Secondly, that culture is critical,
4 culture equality from the leadership down through
5 the organization is critical. And -- but again
6 the concern is how do you deliver that. One
7 suggestion is more in the way of a report, the
8 HIPAA model, I'd say the public company, SEC model
9 of reporting a public report on what -- on these
10 factors, on how you manage these, how relevant
11 they are to your specific concerns, your specific
12 product and -- and stage of life cycle for the
13 company is one approach. A survey approach or
14 looking for indications -- objective indications
15 from employees within an organization as to
16 whether they feel they are supported in creating
17 quality products is another suggestion out there
18 and -- and there are certainly commercially
19 available ways to -- to deliver those metrics. So
20 let me just compare -- yeah, I think that's --
21 that's kind of as far as we could get in the time
22 available, thank you.

1 MS. CRUZ: Great. And Group 8?

2 MR. REID: Hello, we're with Group 8 and I'm
3 Keith Reid from KJR Limited. And my colleague
4 here is?

5 DR. GOTTLIEB: Oh, I'm Samantha Gottlieb. I'm
6 a Medical Anthropologist.

7 MR. REID: And our first takeaway point seems
8 to be a common theme of why does the FDA care. So
9 maybe that's the wrong forum for that. Anyway.
10 So some of the -- if we look at some of the
11 example metrics, we've got average vacancy
12 duration, offer acceptance rate, social media
13 ratings, employee participation as brand
14 ambassadors and brand reputation. We didn't think
15 that they necessarily tied back to drivers of
16 excellence. There seemed to be too many other
17 possible influences on those particular metrics.
18 That was the first point.

19 DR. GOTTLIEB: Yeah. And sort of related that
20 these -- KPIs, are these really controllable and
21 certainly things like social media and sort of
22 brand ambassadorship seem to be sort of highly --

1 potentially very fluctuating and, you know, how do
2 we really capture that. And -- and I think Marisa
3 pointed out, you know, sort of the possibility of
4 looking at variability over time as sort of one
5 strategy, but it still struck us as sort of a
6 problematic, very sensitive kind of -- sensitive
7 in the sense responsive to, sort of, factors
8 beyond the company's control. And then sort of to
9 lead it back to this question of sort of trust
10 that pre-certification is trying to achieve, how
11 does this really, you know, capture that -- how is
12 that important to evaluating whether that trust is
13 actually in place, whether the company is
14 representing, sort of, what it's setting out to
15 do. And then we had sort of a final point, I
16 guess -- of the sort of, you know, thinking about
17 this, I think maybe -- some of us was talking
18 about this kind of question of, you know -- I
19 mean, it was Howard talking about the sort of
20 outcomes rather than actual measurements and so in
21 these kind of evaluations, is it really that
22 critical to look down at this kind of granularity

1 or is it more important for the companies to sort
2 of state, yes, we have a process and have that
3 clearly detailed but not requiring there to be
4 some kind of standardization because as everyone
5 keeps mentioning and pointing out that, you know,
6 company size, structure, all of these things are
7 highly, highly variable and -- and prescription is
8 not constructive, but that there's sort of a clear
9 commitment and that's part -- and highly well laid
10 out but that's allowed the variability that's
11 intrinsic to the -- to companies.

12 MS. CRUZ: Okay. Thank you everyone. Let's
13 give a round of applause to everyone who came up.
14 So if you could just remain for one second. Thank
15 you. So we do have about five to ten minutes.
16 Are there questions for our panelists/break-out
17 group representatives?

18 DR. MCMAHON: Yeah, this is Chantal McMahon,
19 Medtronic Diabetes, Data and Digital Information.
20 Elisabeth, actually first question's for you. I
21 was also part of Group 2. One of the statements
22 that you had made was about resource allocation.

1 And the way that I had interpreted that statement
2 was actually more along the lines of resource
3 allocative toward innovative products and
4 processes. And so, I think that's an important
5 kind of distinction to make because maybe the
6 resource allocation makes us a little bit antsy.
7 But really the idea is when you're defining a
8 company for their excellence, how can you evaluate
9 the amount being innovative? And I think it's a
10 really interesting concept to talk about, so maybe
11 we can delve a little bit further into, you know ...

12 MS. GEORGE: Sure. The group did talk about
13 that a little bit. And -- and one of the things
14 that we felt was, was again, we didn't feel that
15 that was something that the FDA needed to
16 necessarily know exactly what our percentage of
17 resources are specific to innovation because to be
18 honest with you, I know many public companies
19 actually have to say what percentage of -- is in
20 R&D and there -- there's a lot of those things
21 already. And -- and what's innovation to me may
22 not be innovation to you and so there was some of

1 that discussion. So it was really -- the focus
2 that the team kind of was going for was is that do
3 we have the right resources to target the things
4 that we feel are important as the business that
5 we're targeting for the precert. So, those
6 resources may be regulatory resources. They may
7 be clinical and they would not normally be
8 captured in the R & D innovation calculation that
9 many companies would do. So that was kind of why
10 we shifted it a little more to the -- to a more
11 general focus as to what the intended purpose of
12 the focus of the area was.

13 MR. PATEL: (Off microphone.)

14 UNIDENTIFIED FEMALE: Bakul, you're not
15 supposed to ask any more questions.

16 MR. PATEL: I'm going to be a laser here. So
17 Sylvia, go.

18 MS. CRUZ: Go ahead.

19 MS. TRUJILLO: Great. Thanks. I was really
20 fascinated by the suggestion for another pillar
21 around data capture (inaudible) and my both
22 question and request would be to mop out that

1 pillar because I think it beautifully captures, I
2 think, ongoing concerns you heard about what --
3 why does the FDA care, why do other people care
4 when really we're looking at outcomes and
5 immediate feedback loops on the outcomes from the
6 users, not just the intended users but actual
7 users, I will say and it also speaks to if you
8 build it in advance, that would be relevant for
9 premarket and then post market. It would tell you
10 about performance over time and I think that's
11 something we would really urge that group and I
12 would like to volunteer to -- to collaborate with
13 you all to more fully vet that out. Thank you.

14 MR. LOOK: Can I make an editorial comment on
15 that? So, this is now me speaking. It wasn't my
16 group's consensus. Access to data both from
17 devices and -- oh, sorry, access to data, both
18 from devices and software, I think is a key tenet
19 not just of software but of devices. I might
20 argue that if a company says, 'We agree to make
21 all of our raw data available to the community, to
22 the users as well,' they should jump straight to

1 the head of the line of the precert program and be
2 able to ship right away.

3 MS. CRUZ: Thank you, Howard.

4 MS. BLEICHER: So I had a more a global
5 question. I'm Esther Bleicher, I'm from FDA. In
6 our group we -- the participants struggled with
7 really the concept of -- not the concept but the
8 actual results categories, we had -- and it was
9 echoed really across the panel, why does FDA care?
10 Is this really in FDA's purview? And so my
11 question to you is whether you think that these
12 results categories could be narrower or refined so
13 that they could be appropriate and useful for
14 facilitating precertification or do you think we
15 should just chuck out and try something else as we
16 iterate on this process?

17 UNIDENTIFIED MALE: I have an opinion. I
18 don't think you should chuck the whole thing. But
19 I do think there are components. Like the brand
20 part, like, let companies choose their brand and,
21 yes, it's important for a company to understand
22 how their brand is being perceived and that's all

1 part of building a business. But, no, I don't
2 think that should be part of the regulatory
3 precertification program. Safety measures, defect
4 rates, adverse events, efficacy, incorporating
5 voice of the consumer back in the product,
6 absolutely, all of those things, those are direct
7 measures that are legit for the FDA to look at.

8 MR. PATEL: So I'm going to carry where some
9 of you left off and Esther left off. This is
10 Bakul Patel. I'm going to ask the panel to think
11 -- just view two perspectives to think about and I
12 know there was a lot of focus on 'does the FDA
13 need it or not need it?' I think, as you guys all
14 heard me talk a couple times already, what would
15 you think -- how would you think about those
16 metrics when you're recognizing either your
17 supplier or you're recognizing -- or you're trying
18 to figure out if a company is excellent, you're
19 talking to your peers. So if you think about it
20 from that perspective, how would you look at those
21 things as opposed to whether FDA needs it or not?
22 I mean, this whole point about this exercise was

1 does a business need it to show excellence and
2 it's less about does FDA need it to show
3 excellence. So I think we can -- we can determine
4 after the fact how much FDA needs and how much it
5 does not need. But the question I want to put out
6 on the table is, like (inaudible) understands that
7 we all think FDA does not need or need, are we
8 leaving some part of recognition that you guys
9 care about very deeply as businesses to -- to be
10 included as part of the concept of giving
11 recognition of what you -- what you sort of think
12 very highly of how you run your business, so I'll
13 leave it at that and see what the panel ...

14 MS. GEORGE: This is Elisabeth George. I was
15 just going to pick up on one of the things that
16 you said earlier was, is there metrics that I
17 think are important for this program and then
18 there are metrics that we do as companies? We do
19 thousands of metrics at Philips that are important
20 to us, that are important to our stakeholders that
21 may be important to specific customers, but I
22 don't feel that they're all important for the FDA

1 or for any regulator to see. And I think that
2 that's what's going to be important with what we
3 come out with from today and the subsequent
4 activities is, is that, you know, as a company
5 we're going to measure things that are important
6 to us, that are important to other people, but we
7 should keep any amount of metrics that are used
8 for any activity of certification to -- you know,
9 we want to call them key performance indicators
10 which usually means a small, few, not thousands.
11 As a company we do thousands, but I'm not going to
12 show all of them to everybody. They all have
13 their place and time.

14 UNIDENTIFIED MALE: I -- if I can jump in?
15 I'd say the -- for the people characteristics or
16 metrics, having the right talent to create and
17 support the product and having a corporate culture
18 of quality that supports the continuation of
19 quality in that product and its improvement
20 following the evidence would be the lowest common
21 denominators. All of the other factors by and
22 large are taken care of by the much larger market

1 in which all of these companies operate,
2 especially as they reach scale because they cannot
3 survive unless they have most of those
4 characteristics internally delivered in different
5 ways. Speaking as an individual I would say the
6 best sign of quality -- best kind of signboard to
7 quality or lack of it is actually in the product's
8 performance in -- in the hands of the end users in
9 the real world, so -- but that's me personally.

10 MR. BERNSTEIN: Danny Bernstein. So,
11 basically speaking to Bernhard and Howard's
12 comments about active monitoring and the data, it
13 feels as though the FDA does have a responsibility
14 for public safety and therefore the data that goes
15 back, that's one level of importance and then the
16 ability to communicate out to the
17 patients/customers is of prime importance. If --
18 if an update to a software platform is going to
19 break something, then perhaps the message is
20 'don't update until so and so' or if something's
21 gone wrong or something you realized then that can
22 be communicated. So, the opportunity in today's

1 day and age is to create a full cycle loop, not
2 only for the customer or patient -- patient-
3 reported outcomes, but the customer satisfaction
4 as well as the product's performance. Thank you.

5 MS. CRUZ: Okay. So we're just about out of
6 time and we have two additional questions. So I'm
7 going to move on. What's your question, sir?

8 MR. BURCH: Yeah. My name is John Burch. I'm
9 with Angel Capital Association and I have a
10 comment and a question about the data. One of the
11 panelists said that don't be fearful of the data.
12 I would disagree respectfully. I may be
13 incompletely different context here but do be
14 fearful of the data. The gorilla in the room is
15 the electronic medical record. All electronic
16 medical records have significant mistakes in them.
17 One-third of those are by statistics (inaudible)
18 catastrophic if they were actually used in some
19 sort of a, you know, mechanical way. I think that
20 this whole industry -- this emergent industry that
21 we're part of here will find a -- that it's
22 hitting a barrier because of the -- because of

1 that call, because the data that it's going to be
2 working on, if you're going to scale in dealing
3 with a market of hundreds of millions of patients,
4 which is certainly what we hope, the data just
5 isn't there and we don't really even have good
6 mechanisms in place today to do something about
7 that data. I think -- I'll finally just comment
8 there's two general categories to be talking
9 about. One is the data that -- that your software
10 will operate on. That's what I'm talking about,
11 but in addition to that, there's the data that is
12 used to perform -- to evaluate your software, how
13 well it is doing. And that's the business really
14 of the NEST project, as I understand it, to be
15 really looking at that. But it's -- we have to be
16 thinking of that as well and making sure that both
17 categories of data are reliable. And I just think
18 although we're moving toward -- inevitably in my
19 view toward patient center data repositories, I
20 think that's going to happen one -- one form or
21 another. And I think that would clear up the
22 data. I still think we have a major problem here,

1 unless we can do -- really address major -- major
2 issues having to do with -- with healthcare data.

3 MS. CRUZ: Thank you. One response?

4 UNIDENTIFIED MALE: So I don't disagree with
5 what you're saying. I think maybe rephrasing it,
6 have a healthy respect for the data and the
7 problems and -- and issues of quality of data.
8 But don't be afraid to engage with the data and
9 collect more data and use that data appropriately.

10 MS. CRUZ: And Seth?

11 DR. CARMODY: Seth Carmody, FDA. I have my
12 very own question I thought of. Can you believe
13 it? Thank you. Thank you. A question towards
14 Elisabeth. We work together in the cyber security
15 realm and folks from your organization. I'm just
16 curious. Is there some parallels we can apply to
17 what we've done in the cyber world to this world?
18 You mentioned there are just some things that FDA
19 doesn't need to know, right? We've tried to
20 engage on cyber security, like, on
21 vulnerabilities, like trying to get people to talk
22 about the things they don't want to talk about.

1 So I think it's really important for us to tease
2 out why you don't want to share some things with
3 the FDA. I'm interested in a larger conversation.
4 I don't think it's going to happen here. But is
5 it because you're afraid of punitive action that
6 we'll take something that doesn't really pertain
7 or is germane or jurisdictional to the FDA and
8 then take some punitive action? Is that the
9 primary concern or is there other things that
10 you're worried about?

11 MS. GEORGE: No, not at all. I think
12 specifically when we're talking of finance, having
13 spent almost 15 years of participating in FDA
14 advisory panels or in any discussions, whenever an
15 industry person started talking about the
16 economics, we were slapped and reminded, 'We don't
17 talk finances, we talk safety, we talk results,'
18 things like that. So, that was really what that
19 whole premise was about, about why we don't feel
20 it's necessary to share. It's not that I'm saying
21 it shouldn't be shared. It shouldn't necessarily
22 be the number one criteria of how we get through

1 precert. I do think that transparency, we've
2 talked about this openness is appropriate and
3 you're right, in the cyber security world as far
4 as I'm concerned, you know, the emperor with no
5 clothes, that's what it should be. I mean, we
6 should be willing to be open and honest and share
7 and share not only with us to you but us to the
8 whole room. So I agree with that.

9 MS. CRUZ: Thank you. So we're out of time.
10 Is it a very brief question?

11 UNIDENTIFIED FEMALE: It's maybe more of a
12 comment than a question. But it's --

13 MS. CRUZ: Okay.

14 UNIDENTIFIED FEMALE: -- germane to what we
15 were talking about. So I had the same kind of
16 reaction to this (inaudible). I think it's less
17 about what we want the FDA to see and it's more
18 about whether it's germane to the conversation,
19 right? This is about making sure products --
20 companies that make those products should be
21 sending those products out to the market and
22 whether they're controlling them properly. If

1 they're controlling their finances properly,
2 that's a different question for a different set of
3 people. So I think the reason you're getting this
4 kind of (inaudible) rejection -- rejection and
5 reaction is that it just doesn't seem germane to
6 what we should be looking at. It just seems like
7 those should be separate, regardless of who sees
8 what. That's a different question. It's whether
9 it's relevant. I think that's -- for a lot of
10 people that we were talking to.

11 MS. CRUZ: Okay. Thank you. Thank you
12 everyone. Okay. So I think the last break-out
13 session is going to dovetail very nicely with what
14 we just talked about, right? So, there's a
15 question of what are the safety and -- safety
16 effectiveness results that FDA has historically
17 looked at, what -- what kinds of results should
18 they be looking at for a precertification program.
19 There's a question about whether measures that
20 we've not traditionally looked at could be proxies
21 for other activities and processes that excellent
22 organizations have in place. And so we asked. We

1 went on the site visits and we asked the pilot
2 participants and other stakeholders in the digital
3 health industry, what's important to you, what
4 would you show FDA if you were going to send in a
5 scorecard or a dashboard or some sort of tool to
6 help you aggregate disparate data elements and --
7 and help FDA to make sense of them to give them a
8 sense that you are an excellent organization. So,
9 that's going to be the focus of this third break-
10 out session. You will be provided with a packet
11 of example scorecards, again drawn from a variety
12 of stakeholders as examples of tools that could be
13 used for this purpose of aggregation and scoring.
14 The purpose of the break-out sessions is not to
15 review the scorecards in great detail, but to use
16 them as a reference points and jumping off points
17 for the discussion. Each break-out group has been
18 asked to solve a problem statement relating to a
19 dimension of data aggregation and scoring. And so
20 those are broken down here. The groups are going
21 to be asked to discuss mapping and aggregation,
22 weighting and minimum thresholds, reducing

1 regulatory burden, considering tiers for precert
2 and the bounds of precertification. So, if you
3 can divide again into your groups? We'll -- we'll
4 regroup here at 3:15.

5 (A break was taken.)

6 MS. CRUZ: So I think we're going to go ahead
7 and get started. Since you were so prompt, 5 and
8 10 get to go first. So for this readout I'd like
9 each of the pairs of groups to tee up their
10 problem statement, just explain a little bit about
11 what your exercise was and then give a few key --
12 key takeaways.

13 MS. DEGRAFF: Okay. My name is Jill DeGraff.
14 Our problem statement was really to consider the
15 characteristics of the minimally viable candidate
16 for the precert program if you will. And as we
17 were developing our thoughts on what those
18 characteristics might be, we were mindful of three
19 principle goals for the precert program. Number
20 one is to spur innovation. Number two is to
21 alleviate the regulatory review burden for the FDA
22 in anticipation of a flood of applications. And

1 then third to, of course, maintain and -- and
2 accelerate overall product quality in the market
3 place for these products. So we -- we first
4 started with the Post-it exercise of trying to
5 identify a number of inclusion criteria and
6 exclusion criteria for lack of a better word,
7 which we really thought of as red flags and you
8 know, the -- the idea that really seemed to --
9 that we end up collecting this inclusion criteria
10 around ultimately turned on the idea of a -- of a
11 precertification application process. And that
12 that process would look a lot like what the -- the
13 510(k) process would be so that if you're already
14 going to do the hard work of a -- of a 510(k) and
15 we're not planning to, you know, lower our
16 standards at all, then you should at least be able
17 to complete what you would otherwise complete in a
18 full 510(k) in your -- in a pre-app for the
19 program so that when the FDA is looking at you,
20 you would -- you know, they could come back and
21 say you're not ready based on whatever those
22 criteria might be. But then at least you go

1 through the 510(k) process and then you get known
2 by the FDA. But as we were thinking through the
3 inclusion criteria, we were really mindful that,
4 you know, there are a number of different personas
5 that we're really going to need to develop, that
6 we didn't have time really to do, but we want to
7 think about the kind of candidates that we would
8 want to see come forward. It wouldn't be
9 appropriate, for example, in the view of our group
10 to set minimum, you know, funding caps or levels
11 or, you know, that you're -- an organization is
12 beyond the development stage or that it already
13 have a product on the market necessarily, if you
14 can otherwise demonstrate through the precert app
15 that you already have the experience and have put
16 the hard work in to develop and implement those
17 principles of excellence. Do you want to say
18 anything?

19 UNIDENTIFIED MALE: Yeah, in that spirit, I
20 think one of the things that -- it seems really
21 clear that the FDA wants us to be a big tent
22 policy, so the idea of not filtering people out

1 prematurely was -- was clear in the intent. But
2 we also recognize that it's going to -- there's
3 going to -- if there's big amount of demand, you
4 don't want it to become burdensome to the agency
5 either. So we kind of thought about this idea of
6 having this pre-filter process, the equivalent of
7 almost a self-administered quiz or something like
8 that, that starts to frame what you have to do to
9 be able to pass the precertification program that
10 you could do on the input side, almost as a -- as
11 community education tool, but also something that
12 would help them to produce some artifacts that
13 could be more readily filtered to say, 'Oh, this -
14 - these people seem like they're pretty close, we
15 should talk to them. These people seem like
16 they're pretty far off, maybe we should send them
17 this white paper that explains why quality
18 matters,' that kind of thing, so ...

19 MS. MYERS: Okay. We -- I was part of Group
20 10. My name's Nancy Myers with Catalyst
21 Healthcare Consulting. We -- our focus was the
22 bounds of the precertification and what

1 constituted a minimum threshold for an
2 organization to be eligible to submit to be part
3 of this. And we -- we did not use Post-it notes,
4 I'll tell you that. We really focused on -- I
5 think, strength of our group was asking questions.
6 So, I think -- every time we came up, 'What about
7 this, what about this? So I'll now give you a
8 list of our questions, which gives FDA more
9 homework, but that's what I love to do. So we --
10 basically we had a conversation about should there
11 be levels of precertification. We talked about a
12 possible star rating, how do you -- both big and
13 small -- we didn't think the concept of big and
14 small mattered, but perhaps experience might
15 matter. And so we -- when we talked about a
16 possible star situation, we had a really
17 interesting comment from someone who said, 'Hey,
18 listen, I'm with a big company and we're new in
19 this space and we do not want a bronze star. We
20 are always going for gold' and we have -- 'we're
21 very good at putting processes in place and so we
22 shouldn't be --' so, I think as we talk about

1 possible -- creating possible levels of
2 precertification, we ought to look at what the
3 optics are. And also -- we also talked about the
4 possibility of a driver's license situation.
5 Somebody else was talking about it, but my oldest
6 daughter is about to get her license. It scares
7 me to no end. But, you know, would you have a --
8 would there be certain standards where you kind of
9 up, you know, your -- if you're precertified as a
10 -- as a new -- a new entity, maybe you have to do
11 four other things to prove that you're ready,
12 especially if you don't have the data already and
13 don't have an approved product already. Another
14 question that we talked about was -- we talked
15 about precertification, is all of this asking too
16 much. The goal of this is to encourage people to
17 use this process. You -- it's bringing -- it's
18 taking the 510(k) and raising it up. But as
19 you're asking the questions, that's just something
20 -- just to keep sure -- making sure that it isn't
21 so onerous as FDA is creating its dashboard that
22 nobody wants to go through it. And then it was

1 also -- one question in -- in that kind of -- you
2 know, is this something that if you have a high-
3 risk device that is only doing minimal
4 modifications, are you -- could you go through the
5 precert process? That hasn't really been talked
6 about. Then we also talked about -- if you're
7 looking about the bounds of precertification, do
8 you get a precertification for your whole company
9 or just the part of your company that's doing
10 software or if you have that, are you -- is it
11 just the group that's leveraging the quality
12 system that's pre-certified? That was a big
13 question. Are you leveraging -- let's see, what
14 else did we ask? We asked, is there a different
15 process if you've got a different intended user,
16 if you're only focused on doctors or patients, you
17 know, where does the precertification come there.
18 We also talked about how long are you precertified
19 for. Is it something where you're precertified in
20 perpetuity and I don't think anybody encouraged
21 that. But is this something -- would it line up
22 with your usual inspections, how would -- how long

1 would it last? Then, is it transferable? So your
2 company that buys a company that's been
3 precertified, do you get that precertification or
4 not. And we also -- people were talking about --
5 we asked the question of how many people would be
6 precertified and is that something that FDA could
7 handle and is that threshold -- does that
8 threshold change for your first set, you know,
9 you're doing your pilot, but -- you know, some of
10 those questions. And then let's see what else. I
11 think that -- that was -- we had a couple more
12 questions, but I'll leave it at that. It's enough
13 work for you guys.

14 MS. CRUZ: Thank you very much. All right, do
15 we have Group 7? Yes, okay. So, Groups 2 and 7
16 were weighting and minimum thresholds.

17 MR. NARDONE: Thank you. Jake Nardone,
18 Dexcom. So, our group was actually really
19 engaged, it was great.

20 MS. CRUZ: Could you just introduce yourself
21 and tee up your problem statement?

22 MR. NARDONE: Yeah. So Jake Nardone, Dexcom.

1 The question was basically minimum thresholds for
2 scoring. So, we discussed a lot of different
3 scoring proposals and I think that we kind of all
4 agreed within the group that from a product
5 quality and patient safety perspective that the
6 weighting towards those should be more significant
7 within the scoring framework, whether it's stars
8 or points allocation we thought that those should
9 be -- basically have higher minimum thresholds.
10 We also discussed establishing the minimal
11 thresholds for each criteria, which would be
12 evaluated for each organization. And one of the
13 topics that was discussed was that -- the
14 importance of having clear criteria for FDA and
15 FDA staff to review and also hold each
16 organization to the same standard and have that be
17 clearly disseminated to the industry so that we're
18 all aware of how each company will be assessed and
19 held to the same modality. It was also discussed
20 about whether or not there should be different
21 tiers of excellence. And it was kind of leading
22 in the way that it might be difficult for

1 consumers to understand a bronze versus a gold
2 excellence badge. So, I think that threshold,
3 once met, we agreed it would be good if it was
4 just -- you reach a certificate of excellence and
5 to that effect it might be beneficial for scores
6 to be publicly produced in some website or manner
7 of fashion for interested consumers or parties to
8 be able to see how they stack against the
9 competition. It was also raised that the -- the
10 meaning behind the score could be a huge concern
11 for industry as well as could discourage
12 participation, based on what that is used for and
13 how FDA intends to use the KPIs to monitor
14 excellence. So, for instance, if we are looking
15 at crashes or, you know, bug fixes relative and we
16 see a spike within any organization's performance
17 that -- it could be an anomaly within their
18 system. They may have the appropriate procedures
19 in place and that might not be a true indication
20 of how they're performing. And one concern could
21 be that that could lead to another form of
22 punitive correction -- action from FDA or

1 potentially penalized by either removing that
2 excellent certificate, so having clear
3 understanding about how that would be utilized
4 would be extremely beneficial for industry and I
5 think it should be looked at cautiously so that we
6 do not discourage participation from elsewhere in
7 the industry. I think that's everything.

8 MS. CRUZ: Thank you. Group 2.

9 MS. JUMP: My name's Michelle Jump and I'm
10 from Stryker. We're from Group 2 and we had the
11 same question on weighting and minimum thresholds.
12 We had a pretty spirited conversation over there,
13 go Group 2, about this issue. And, you know,
14 before we could even get into any kind of ranking,
15 we got into terminology, right? How do you define
16 these excellence principles? What do they mean?
17 They meant different things to different people,
18 which -- which I think is not uncommon, but it
19 really became critical into understanding how you
20 might rank them. I'd say the patient safety and
21 the product quality certainly bubbled up to the
22 top of our list as well. But we kind of quickly

1 moved into a space of well, it might depend on the
2 organization as well. So maybe the ranking and
3 the weight is actually the organization's
4 determination and they make that structure and
5 case depending on their culture and their products
6 versus that being presupposed on the organization
7 coming in. It depends on what -- what -- they may
8 have been in a different field. They may have a
9 structure that doesn't quite fit the same kind of
10 weighting structure. So, we had some really
11 interesting conversations around that and a lot of
12 it depended, like I said, on terminology and how
13 we're defining things like clinical responsibility
14 and proactive culture. And then when we started
15 to talk about minimum thresholds, we got to the
16 point of -- it's really difficult to set those
17 kinds of minimum thresholds when you don't have
18 the actual criteria themselves, right? Depending
19 on how the criteria are written, it would be very
20 difficult. But since this is supposed to be
21 something to demonstrate excellence, not something
22 that everyone must comply to, it's voluntary. We

1 kind of cycled back to putting the onus on the
2 manufacturer or the -- the developer. As much as
3 I walked in here -- I'll just talk from my own
4 personal point of view. As much as I walked in
5 here going it must be objective, the more we
6 talked in our session today it became more clear,
7 I thought a lot about assurance cases and the fact
8 that you provide evidence and link that evidence
9 to your claim, that you're doing what you need to
10 do and that structure can look very different for
11 very different organizations. So we -- we kind of
12 -- we ended that we need more information and that
13 this really would depend on the organization. And
14 -- and so having some flexibility there would be
15 important.

16 MR. KESSLER: Gordon Kessler from AiCure.
17 Also in this group, just to give some background
18 on this, a lot of the questions that came up were
19 about, you know, if you have a company that has 70
20 subsidiaries and they do all different things,
21 what -- what does precertification mean, to whom
22 does it apply, to which groups. What if you have

1 a subsidiary that keeps track of information in an
2 EMR? So patient safety maybe's not really
3 important at that point because if you're just
4 (inaudible) a small piece of information. But
5 cyber security might be outsized because you're
6 giving a pipe into someone's main computer system
7 in a hospital system, right? And so that idea of
8 -- even if you pick a minimum threshold, I mean
9 how low would the minimum threshold be? Just
10 having someone on staff to recognize that that --
11 if that ever comes up as an issue in the future
12 you need to do something with it. And so we also
13 -- looking at that minimum threshold, we also
14 talked a little bit about the other side of the
15 coin, which is very small companies. If this is
16 onerous enough -- and people have one or two
17 products they might just say, 'I'll just do the
18 510(k),' right? Because the point of this, it
19 appears, is to get companies along the path so
20 that when they then apply for each individual
21 product, there's a whole bunch of things that FDA
22 doesn't have to look at again because they've

1 already been certified to this thing. And, you
2 know, for companies it's supposed to be an
3 efficiency situation and you're properly --
4 granted if it's going to take more work and very -
5 - few times through the system, it may not end up
6 being something that's sort of worthwhile to do
7 that. And so we thought that while the idea of
8 minimum threshold is a great -- is really
9 interesting, we did -- as we said, you know, come
10 up with the idea that it really maybe something
11 that the individual organizations need to define
12 what their weighting system -- what their systems
13 are and then justify that to the FDA. 'Here is
14 why we chose this. Here is why we did these
15 things.' And also, a definition of what would
16 perhaps justify the need to submit and request a
17 recertification, right? We didn't have anything
18 that was cyber security, right? We have a device
19 that collects a bunch of information, doesn't
20 transmit it and when you bring it back to your
21 service provider, the data gets downloaded in a
22 secure place. Well, all of a sudden if you add in

1 a Bluetooth connection or you add in a cellular
2 connection that's real time, okay, no, the whole
3 equation may change, right? And so how do you --
4 what do you do with that as the product -- it's
5 not supposed to be product specific, but it has to
6 be product related because someone doesn't need a
7 large cyber security program if they have nothing
8 to protect, nothing -- no risk on the cyber
9 security side. And so, those requirements of
10 threshold and when they should change, when people
11 need to get recertified are something that I think
12 really are unfortunately weighing against the kind
13 of the uniformity and the objectivity of coming in
14 and saying, 'Here's a checklist, once you fill
15 this out, you're done.' And so that's really
16 where we came out. And unfortunately, it's
17 something that looks like we would think would be
18 somewhat of a subjective determination of what's
19 going on, input from the customer and -- and not a
20 check -- not an ultimate single checklist.

21 MS. CRUZ: Thank you. Okay, we'll pivot to
22 Groups 3 and 8, reducing regulatory burden. Group

1 3?

2 MS. ANTHONY: Agata Anthony from GE.

3 MR. ROGERS: And Bob Rogers from (inaudible).

4 MS. ANTHONY: So we were looking at reducing
5 regulatory burden in the context of the metrics
6 that the companies might or might not be
7 collecting today and whether looking at those in
8 the context of the precert program would make it
9 easier without adding anything to the existing
10 workload. So with regards to some of the KPIs
11 that's the case, the most well populated metrics
12 appear to be around product quality and patient
13 safety. Those are collected and looked at pretty
14 universally. Now, when it comes to things like
15 proactive culture, we were kind of struggling to
16 understand what that was really. So if we can't
17 really define it all that well at this point, it's
18 very difficult to put metrics around it and -- and
19 look at it in a -- in a qualitative fashion. So,
20 this is something that -- that I think would
21 require further discussion. We were kind of
22 wondering where that regulatory burden would get

1 reduced. So the conversation we had briefly was
2 around what would get taken away. So we could --
3 we could look at those KPIs, use some of the
4 metrics that are being used today and report those
5 with some frequency. Annually we agreed wasn't --
6 wasn't often enough. Let's say quarterly or
7 whatever the case might be. We were wondering
8 what the mechanism for that would be. Would those
9 be reports that a developer would send over to the
10 FDA. If so, who would look at them? Would it --
11 would that be a time lag? What criteria would be
12 applied to -- to evaluating this? So, that was
13 kind of something we discussed. And what would
14 not have to be done because we're doing this in
15 the context of reducing regulatory burden. So --
16 so this is I think something that would require
17 further discussion. We also concluded that things
18 like cyber security and clinical responsibility
19 metrics exist but they're perhaps not as well
20 defined and as consistent as in the patient safety
21 and product quality areas, so that would be a
22 little more difficult to streamline and might

1 require some additional definition.

2 UNIDENTIFIED MALE: Yeah, I have nothing else
3 to add. That was great.

4 MS. ANTHONY: Thank you.

5 MS. CRUZ: Perfect. Team 3?

6 MS. STEPHEN: Hi, I'm from Group 3. My name
7 is Beth Stephen. I'm with Medtronic. So we also
8 were talking about reducing regulatory burden.
9 One of the first, sort of, topics we discussed
10 actually was as far as whether reducing regulatory
11 burden with the FDA would actually reduce -- how
12 much it would actually reduce regulatory burden if
13 we still had other, like, ISO requirements that we
14 had the meet and, you know, requirements for EU or
15 whatever. So, you know, if -- so, one of the
16 questions that came up was, you know, it would
17 help to just align requirements right across, you
18 know, with -- if the FDA even just accepted the
19 basic requirements, that would -- that would at
20 least be helpful for trying to reduce the burden.
21 You know, and then is the ISO standard good
22 enough, is that something that people would want

1 to use or would they rather have it replaced with,
2 you know, some new standard or, you know, whatever
3 -- whatever the requirement might be? And then we
4 -- we talked about the scorecards and using the
5 scorecard as a way to improve on areas of weakness
6 in the company, we definitely talked a lot about
7 what the scorecard should and shouldn't do, who it
8 was for. For example, you know, who was going to
9 be seeing the scorecard, would it be just the FDA,
10 would it be end users, would it be physicians? In
11 that sense how much detail would be needed, you
12 know, would they all get the same amount of
13 information from the scorecard or would the FDA
14 see everything and then maybe, you know, just some
15 (inaudible) information would be available more
16 publicly. What should be included in the
17 scorecard and how the scorecard should be -- you
18 know, how the scorecard should be arranged?
19 Should it be just tiers or should it be like, you
20 know, numeric values, what the level of detail
21 was. Sorry, I'm just trying to read whatever the
22 comments were. So, there just seemed to be a lot

1 more on the scorecard that sort of still had to be
2 worked out. And I think that was the theme that
3 came up with us kind of throughout this, was there
4 was a lot more -- there's a lot more that needed
5 to be talked about in this area and that, you
6 know, we kind of needed more time than -- than we
7 had to discuss it. The other thing that we talked
8 about was the -- was excellence principles. We
9 were asked whether the data, what -- which
10 excellence principles we collected the most data
11 and the least data. And for the most data, it was
12 definitely the first three, the product quality
13 and clinical and the patient safety. And then for
14 the least amount of data collected, it was the
15 proactive culture which was the very least and
16 then cyber security after that. One of the things
17 that -- that came up with that was that those --
18 that's how much -- that's where we collect the
19 most and least data, but the -- the question is
20 why is that the case because -- you know, so I
21 think it's just a little bit more than just where
22 do we collect more and where do we collect less,

1 right? Because one of our moderators said, 'Oh,
2 so this -- these are the areas where you need to
3 collect more data, right? The ones you're
4 collecting less data in,' and I was like, 'Wait,
5 hold on a second, that doesn't mean we need to
6 collect more data. That just means, you know, in
7 some -- in some cases you don't need that much
8 data in those areas' and it will be variable based
9 on the, you know, company and device and whatnot.
10 And in some of those other areas where we collect
11 the most data, why do we collect that data and
12 some of it is for internal use and some of it is
13 for reporting, right, to the FDA or other
14 regulator. So just asking the question of how --
15 of how much data we collect is sort of not the
16 full picture there, I think.

17 MS. CRUZ: Thank you. I'll move on to Groups
18 1 and 6, mapping and aggregation.

19 MR. ARBITER: I'm from Group 6. I'm happy to
20 start. My name is Brandon. I'm from Tidepool.
21 And under mapping and aggregation we were asked,
22 how should FDA aggregate KPI scores across

1 excellent principles. And we were given an
2 example of nine KPI for a single excellence
3 principle and asked how would we aggravate them to
4 determine an overall assessment of safety? And we
5 basically said no. Some of the KPIs were yes, no
6 questions. Some were percentages. Some were
7 ratios. There's like -- these aren't -- these
8 can't be aggregated. But we spent some time
9 thinking -- thinking through that, talking about
10 why that is because ideally it would be really
11 cool if we could get one kind of FICO score that
12 determines you're in or you're out. But it seems
13 like one of the intentions of this program is to
14 not be too prescriptive of companies. And an easy
15 way to make it easy to aggregate is to give
16 everybody the same exact KPI and say, 'Here are
17 the KPI you have to measure and track.' But if we
18 want to not make it prescriptive and let companies
19 define their own KPI, then the FDA figuring out a
20 weighting system to address each individual
21 company's KPI would seem to be overly burdensome
22 and not realistic. Maybe in five to ten years

1 after collecting a bunch of KPI from many
2 different companies across industries, FDA could
3 re-evaluate whether there is a more prescriptive
4 approach they want to take. I'm not suggesting
5 that. But certainly, at the beginning it would
6 seem we want to be less prescriptive and the less
7 prescriptive we are, the harder it would be to
8 aggregate. We then kind of dove into what is
9 important in -- in each KPI in general. And we
10 were looking at the KPI that were given to us and
11 saw that they were basically -- what is the key
12 performance indicator and what is the metric. But
13 there was no goal associated with it or no
14 threshold of acceptability. And we thought for
15 post market purposes if the FDA is going to be
16 looking at this key performance indicator over
17 time, it would probably be important for them to
18 understand what is the company's perspective of
19 threshold of acceptability so that FDA can say,
20 'Oh, that's outside of the threshold of
21 acceptability, let's go figure out why or let's
22 have a conversation with the company.' And also,

1 what's kind of an acceptable -- or what's a normal
2 error rate, what's a normal standard of deviation
3 again to provide context? We talked about the KPI
4 should be normalized in some way. And if you're a
5 company whose products are not yet in market, you
6 should have like a sense of what your KPI will be,
7 but just because you don't -- you haven't measured
8 your KPI yet shouldn't be a limiting factor of you
9 getting into precert, but you should -- you should
10 be able to just say, 'This is what we expect our
11 KPI will be' and also there should be an
12 understanding that companies should be agile about
13 their KPI and the KPI should be able to evolve
14 over time when they come to market and later. We
15 also acknowledge that the KPI might not be self-
16 evident, which might make it hard to aggravate,
17 which we don't recommend but regardless it might
18 be hard to interpret at all. And so if a KPI is
19 not self-evident, then it should probably be
20 accompanied by a paragraph that describes what
21 this KPI is. And when determining KPI, the
22 company should be thinking about processes that --

1 within the company that specifically seem to
2 impact risk, things -- and also things like time
3 to response to some hazard that has occurred seems
4 to be particularly important. There was kind of a
5 request of the FDA that the FDA produce some
6 documentation or recommendations or just examples
7 of what KPIs are being used and that those be
8 regularly updated and a note to Linda from FDA,
9 Group 6 run by Adam is the best.

10 MS. CRUZ: Thank you for the editorial.

11 MR. BERNSTEIN: So Danny from metaMe Health,
12 Group 1. We were also dealing with mapping and
13 aggregation. And it was a very energetic group
14 with a lot of great information. I think looking
15 at the sample on the sheet, some of the comments
16 were the numbers here are absolute, there's no
17 denominators, there's no total number of users or
18 per incident. And so that was one issue, I think
19 -- there's no context to overall risk profile. I
20 think the concept of a risk profile became
21 something that I think the group appreciated
22 conceptually and thought -- discussed about third

1 party accessors. KPI should be company specific,
2 weighted, evaluations of KPI's importance,
3 averages towards safety, qualitative, quantitative
4 KPIs. So, you know, some combination that makes
5 sense around the most important aspects of those
6 metrics. KPIs will become a marketing tool.
7 That's actually a bit of a -- that was a positive,
8 but they -- there's a negative coming up in a
9 moment, ensure that KPIs contribute toward quality
10 products. A tiered precert may not be favorable
11 to small companies. Precerts should not be a
12 marketing tool, for instance, bronze, gold,
13 silver. It should be a relationship between the
14 firm and the FDA in terms of your evolution as a
15 company, but not necessarily something that goes
16 on a website to say we are -- we are at this
17 level. The precert in that case may have a
18 reverse effect, if the metrics -- if the metrics
19 are -- you know, can be used to basically -- you
20 know, we can bend metrics, you know, to metrics if
21 we want to. But the idea is to be focused on true
22 intention. The FDA should have a way to confirm

1 precert companies, public access to compare
2 precert companies. If two companies are in the
3 same space, perhaps on the website, you know, kind
4 of class compare various products and compare
5 those relatively easily. The European CE
6 certification, I think people start talking about
7 that as, you know, they saw similarities to what
8 we're talking about and what's happening there.
9 And we need to understand what precert is going to
10 offer. I think that was -- you know, I think
11 we're learning about that as we go. Safety, cyber
12 security, clinical responsibility are -- are more
13 -- they should be higher weighted when scoring. I
14 think (inaudible) said that. The FDA should
15 provide information to companies of different
16 sizes on how to -- on how to be precert. FDA
17 still should provide a framework across excellent
18 principles. Companies should be able to choose
19 their KPIs. Again, too much information is not --
20 an example of dashboards is not necessarily a good
21 thing. So, the example dashboard has nine rows,
22 but there -- there should be some level of, kind

1 of, a higher level view of that. And then perhaps
2 the ability to then drill in to -- to data from
3 the most important KPIs. And then KPI should be
4 limited to the most indicators and a checklist
5 component for precert and I believe for the most
6 part that's it. So, thank you.

7 MS. CRUZ: Thank you. Okay, we move on to our
8 last grouping, Groups 4 and 9 considering tiers
9 for precert.

10 MS. O'CONNOR: Hi, I'm Susie O'Connor from
11 Boston Scientific and our groups were talking
12 about tiered certification. So, we spent a little
13 time in our break-out session talking about what
14 is the real outcome of what we're trying to
15 achieve here and that's really providing a
16 valuable product to users or patients at a faster
17 pace, continually learning and delivering better
18 solutions. And so there's this whole concept of
19 how might we do this and we talked a bit about
20 different ways that we might break out those
21 tiers, first being in or out. Is that really
22 going to change things though? Like is that going

1 to change the way the products are being reviewed
2 and the feedback we got was, well, no, because
3 there's still going to be a level of review,
4 dependent on risk. We also talked about using the
5 bronze, silver, gold method. And we talked about
6 what does that really mean for your software
7 development teams because if there are these
8 tiers, then everyone's always going to want to
9 reach for the -- the gold, right, and in an
10 enterprise organization, is that really opening up
11 the world to those groups? We also talked about
12 if it's a good idea to break them out into like
13 startup, mid-tier, large enterprise, then we
14 talked about is that really also achieving the
15 goal of this group, how can enable these teams to
16 grow successfully if we're giving them different
17 criteria? And I think based on the feedback we
18 got and the way we were discussing about it, it
19 seems like the tiers ought to be broken out by
20 risk or safety with clearly defined acceptance
21 criteria. And those acceptance criteria should be
22 put out in a very open space so that people can

1 learn from them and implement them easily. And we
2 talked about how do organizations really certify
3 and what does it mean to become certified? Do you
4 become certified as a organization? Well, that's
5 easy for a startup, right? You might have three,
6 12 people. It might be a bit easier for a middle
7 size group. But then for an enterprise
8 organization how is that possible if you have so
9 many groups and maybe you're acquiring people all
10 the time or acquiring companies, that becomes very
11 difficult. So how do you break it down, we talked
12 about. Is it the division? Is it the business
13 unit and as we were talking about those types of
14 excellence, what does that really mean and it came
15 down to potentially the product of the product
16 team and how do you instill confidence that those
17 teams are doing the right thing and building
18 product in a meaningful way, you know, like,
19 interacting with users. And so again it came down
20 to putting that information in a readily available
21 place so that teams or product groups can learn
22 from there. We also talked a bit about should

1 track record play a important role in this and is
2 that fair again to start ups? Probably not. But
3 then the hope is that if people become -- or
4 organizations, teams, whatever the end result is,
5 I know it's still in the works, but if you become
6 precertified then you're instilling confidence
7 with the FDA that as you continue to grow your
8 product you have at least at some level been
9 incorporating those key requirements into
10 development from day one, right? Because if we
11 want to enable lower scale products like general
12 health and wellness software solutions, the
13 opportunity to learn and grow is much faster,
14 right. If you're doing post market surveillance,
15 you're getting real time feedback about your
16 features, about the way your users are
17 implementing things. You might want to change and
18 add more -- or add more features in the next three
19 weeks, but how do you do that for class three?
20 Like, it's a bit different and so also
21 understanding that the level of review is also
22 different. It seemed to us that the right way to

1 do it would be by classification of the device or
2 the software. I think that is primarily it, yeah.

3 MS. CRUZ: Okay. Thank you very much. And
4 our last group?

5 UNIDENTIFIED MALE: I'm not sure I can add a
6 whole lot more to the conversation up here. But
7 I'll -- I'll quickly go through what we came up
8 with. Just to remind you our question was -- our
9 problem statement, 'Should precertification be
10 stage or tiered?' Yes.

11 MS. CRUZ: Succinct. I like that.

12 UNIDENTIFIED MALE: So just the -- people were
13 talking about easy entry. And I -- I think one of
14 the discussions we talked, which was exactly the
15 same as the other group with our problem
16 statement, was it's about the risk, the depth of
17 the risk. So, we have, for instance, the LMDRF
18 now that has these levels of risk that might be an
19 appropriate thing to look at, how the requirements
20 are different for different organizations. And so
21 I think that, you know, based on those things, we
22 can look at how the safety issues, we have

1 transparency and, you know, for instance, the --
2 how are they using open source and platforms and
3 all these other issues that allow for the future
4 liability of the organization, not necessarily
5 financial and those type of things, but how are
6 they playing in to the community? But to make it
7 easy regardless of the organization to enter into
8 the system and we talked about envisioning
9 basically an entry point where you can do a self-
10 assessment based on, first of all, what risk level
11 product are we and then out of that comes the FDA
12 self-assessment where it goes through these
13 elements so that the system itself manage -- helps
14 the organization manage the complexity of the
15 expectations. And I think that would lower the
16 burden on the people coming into the system and
17 dramatically lower the burden on FDA as well. So
18 ...

19 MS. CRUZ: All right. Thank you very much.
20 Let's give our last group a round of applause.
21 There are a lot of open ended questions. I came
22 out of these discussions, but I think in the

1 interest of time we'll welcome Bakul back to the
2 stage just for a few closing remarks. Thank you
3 everybody.

4 MR. PATEL: Wow. Who thought this was going
5 to be hard, right? Now, so seriously I hope you
6 guys saw during the entire discussion what we're
7 trying to achieve. And this is exactly why I
8 started this -- you know, this morning as well as
9 yesterday that we need your help. We need to get
10 as close as possible to how you're doing your
11 business so we can recognize that, at the same
12 time give you credit for that. So, going back to
13 that message and that's exactly where we want to
14 be. How do we get there, I think is going to be a
15 journey we continue to sort of have going forward.
16 How do we sort of take this to the next level?
17 So, I walked away with a couple things just to --
18 and reflection for all the panels' discussion. I
19 think there's a lot of ideas and thoughts.
20 There's a lot of assumptions as well. Some of
21 those assumptions we had not made a choice on.
22 Some of those assumptions we're exactly in line

1 with what you guys were thinking. So, how do we
2 sort of take this to the level where we need to
3 be. I think there's a lot of potential with this
4 program. How do we sort of take it to the level
5 that is so close to how you operate, deliver and
6 maintain products that can actually give the
7 benefit that we see, these -- we all think we
8 should -- we should be provided? How do you take
9 that? So, it's less about what we see, what FDA
10 wants to see and I've used as -- all along. It's
11 more about how we as a community should be
12 thinking about, you know, evaluating your peers
13 and being very transparent, what we -- what we
14 should share, what we should be clear about and be
15 open with our users at the end of the day and
16 that's really what we're trying to get to. So,
17 we'll get there. I have a high confidence in
18 getting there. We may not get there 100 percent,
19 so this is the whole concept of having a minimum
20 viable product. But we need to get off from where
21 we are to the point that will actually get us to
22 try this out and I intend to do that. We need to

1 try this out because we can sit here and talk for
2 another five days and we'll have more questions
3 than answers. At this point I think we need to
4 figure out a point of way to get to a place where
5 we can actually iterate and try and figure out.
6 This is exactly why we're going to do that with
7 nine participants. We'll get these ideas. We'll
8 try to figure out what can we try, what can we not
9 try and figure out what's wrong and then we'll
10 come back and try. I encourage all of you guys to
11 sort of don't lose those thinking hats that you
12 got -- put on in the break-out sessions today.
13 Keep thinking about these because this are not
14 some -- this is exactly why it's not something
15 that we could do or any one individual or anyone
16 can do -- any one party can do on their own,
17 right? This is something that we're trying to
18 figure out how to, sort of, get this in the best
19 place. It is different than what we've been doing
20 in the past. I recognize that. I think -- I had
21 a long conversation with somebody and we talked
22 about this. Like, is this something we've done in

1 the past, (inaudible)? No. We are taking a
2 holistic approach to give -- sort of get to a
3 different place. So help us get there. So that's
4 what I'll say. But I do actually want to applaud
5 all of you who've been here patiently, you know,
6 working with us, trying to -- trying to understand
7 what we're trying to achieve and two, actually
8 trying to help us build. So can we give ourself a
9 round of applause? Thank you very much. And
10 thank you for actually taking two days of your --
11 of your busy careers and being here, sort of, for
12 this -- for this event. I think this is -- this
13 is the first of many and we may not do entirely
14 like a public meeting soon, but we plan on sort of
15 having open dialogue throughout and we may
16 actually have small webinar sessions. And we were
17 actually -- somebody was actually brainstorming
18 with me saying you should do unconference (sic),
19 give a problem to people to solve and sort of
20 bring it back. So if you have ideas of how to
21 keep this momentum going, I'd be very open to hear
22 that and we're going to -- we are also going to

1 try to look at other ways to keep this
2 crowdsourcing continuing going forward. So, thank
3 you again and thank you, have a rest -- great rest
4 of the day.

5 (Whereupon the workshop was concluded.)

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

1
2 CERTIFICATE OF NOTARY PUBLIC

3 I, Casey Smith, the officer before whom the
4 foregoing proceeding was taken, do hereby certify that
5 the proceedings were recorded by me and thereafter
6 reduced to typewriting under my direction; that said
7 proceedings are a true and accurate record to the best
8 of my knowledge, skills, and ability; that I am neither
9 counsel for, related to, nor employed by any of the
10 parties to the action in which this was taken; and,
11 further, that I am not a relative or employee of any
12 counsel or attorney employed by the parties hereto, nor
13 financially or otherwise interested in the outcome of
14 this action.
15
16

17 Casey Smith

18 Notary Public in and for the

19 State of Maryland
20
21
22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

CERTIFICATE OF TRANSCRIBER

I, EMMA KADEY, do hereby certify that this transcript was prepared from audio to the best of my ability.

I am neither counsel for, related to, nor employed by any of the parties to this action, nor financially or otherwise interested in the outcome of this action.

February 12, 2018

DATE

EMMA KADEY