

FOOD AND DRUG ADMINISTRATION (FDA)  
Center for Biologics Evaluation and Research (CBER)

151<sup>st</sup> Meeting of the  
Vaccines and Related Biological Products Advisory  
Committee (VRBPAC)

March 1, 2018

FDA White Oak Campus  
Silver Spring, MD 20993

## CONTENTS

Call to Order and Opening Remarks	1
Introduction of Committee Kathryn Edwards, M.D. Chair, VRBPAC	
Conflict of Interest Statement Serina Hunter-Thomas Designated Federal Officer VRBPAC, FDA	3
Topic I: Presentation of Laboratory of Mucosal Pathogens and Cellular Immunology (LMPCI), Division of Bacterial, Parasitic and Allergenic Products (DBPAP), Office of Vaccines Research and Review (OVRR), Center for Biologics Evaluation and Research (CBER)	8
Overview of Research/Site Visit Process, CBER Carolyn Wilson, Ph.D. Associate Director for Research CBER/FDA	8
Overview of DBPAP Jay Slater, M.D. Director, DBPAP OVRR/CBER/FDA	18
Overview of LMPCI Scott Stibitz Chief, LMPCI DBPAP/OVRR/CBER/FDA	24
Topic II: Strain Selection for the Influenza Virus Vaccine for the 2018-2019 Influenza Season	33
Conflict of Interest Statement Serina Hunter-Thomas, Designated Federal Officer, VRBPAC, FDA	35
Introduction Anissa Cheung, MSc, Regulatory Coordinator Division of Viral Products Office of Vaccines Research and Review CBER/FDA	39

US Surveillance	46
<p>Lisa Grohskopf, MD, MPH, CAPT USPHS,  Associate Chief for Policy and Liaison Activities,  Epidemiology and Prevention Branch, Influenza  Division, Centers for Disease Control and  Prevention</p>	
World Surveillance/Virus Characterization	62
<p>Jacqueline Katz, Ph.D.,  Deputy Director, Influenza Division and Director,  WHO Collaborating Center for Surveillance,  Epidemiology and Respiratory Diseases,  Centers for Disease Control and Prevention</p>	
DoD Vaccine Effectiveness Report	96
<p>Angelia Cost, PhD, ScM, Senior Managing Epidemiologist  Armed Forces Health Surveillance Branch,  Public Health Division, Defense Health Agency</p>	
Candidate Vaccine Strains & Potency Reagents	115
<p>Manu Joshi, Ph.D.  Lead Biologist  Division of Biological Standards &amp; Quality  Office of Compliance and Biologics Quality  CBER/FDA</p>	
Comments from Manufacturers	126
<p>Penny Post, Ph.D.  Head of Regulatory Affairs, Flubok  Protein Sciences Corporation  a Sanofi Company</p>	
Open Public Hearing	136
Committee Discussion, Voting, and Recommendations	137

1                                    **PROCEEDINGS**                                    **(8:15 a.m.)**

2                                    **Agenda Item: Call to Order and Opening Remarks**

3                                    **Introduction of Committee**

4                                    DR. EDWARDS: Good morning. I am very pleased to  
5 be here, this first day of March, and to be chairing the  
6 151st meeting of the Vaccines and Related Biologic Products  
7 Advisory Committee.

8                                    Before we begin, I think it would be very  
9 important for us to introduce ourselves. We have two new  
10 members of the committee, and so, Len, let's start with  
11 you. If you could give your name and just briefly what you  
12 do, and then we'll just go around the room.

13                                    DR. FRIEDLAND: Thank you very much. Good  
14 morning, everybody. Leonard Friedland, GSK Scientific  
15 Affairs and Public Health. I'm a pediatrician, vaccine  
16 researcher. It's nice to be sitting here at the table  
17 rather than up at that podium where I've been a few times,  
18 so this is a real pleasure, and I look forward to being  
19 part of the committee. Thank you.

20                                    DR. KATZ: Good morning. I'm Jackie Katz. I'm  
21 not officially part of the committee, but I'm from the  
22 Influenza Division, CDC, and I'll be telling you about the  
23 global surveillance of influenza viruses.

1 DR. SHANE: Good morning, I'm Andi Shane, and I'm  
2 a pediatric infectious disease physician at Emory  
3 University in Atlanta, and delighted to be here.

4 DR. OFFIT: I'm Paul Offit. I'm a professor of  
5 pediatrics in the Division of Infectious Diseases at  
6 Children's Hospital, Philadelphia, and the University of  
7 Pennsylvania School of Medicine.

8 DR. MONTO: Arnold Monto, School of Public Health,  
9 University of Michigan, and I'm back again.

10 DR. MCINNES: Good morning, I'm Pamela McInnes,  
11 Deputy Director for the National Center for Advancing  
12 Translational Sciences at the NIH.

13 DR. EL SAHLY: Good morning, Hana El Sahly, Baylor  
14 College of Medicine, clinical vaccine development and adult  
15 ID.

16 DR. EDWARDS: Kathy Edwards, Vanderbilt  
17 University, pediatric ID.

18 DR. BENNINK: I'm Jack Bennink. I'm at NIH and  
19 NIAID, in the Viral Immunology Section, influenza  
20 researcher.

21 DR. BOK: Good morning, I'm Karin Bok,  
22 representing the National Vaccine Program office at HHS.

23 DR. WIESEN: I'm Andy Wiesen. I'm a preventive  
24 medicine, internal medicine physician. I work for the

1 Assistant Secretary of Defense for Health Affairs in their  
2 Health Readiness Policy and Oversight office.

3 MR. TOUBMAN: I'm Sheldon Toubman. I'm the  
4 consumer rep, and in my day job I am an attorney at New  
5 Haven Legal Assistance Association, where I mostly advocate  
6 on behalf of Medicaid folks.

7 DR. WILSON: Carolyn Wilson, Associate Director  
8 for research at Center for Biologics.

9 DR. SLATER: Jay Slater, I'm the Director of the  
10 Division Bacterial, Parasitic and Allergenic Products.

11 DR. GRUBER: And my name is Marion Gruber, I'm the  
12 Director of the Office of Vaccines Research and Review at  
13 CBER, FDA.

14 DR. EDWARDS: Thank you. We're very, very happy  
15 that everyone is here. There also is an open web line, so  
16 we welcome those people that are watching as well. And  
17 certainly, want to welcome Dr. Shane and Dr. Offit to the  
18 committee. We're very excited that you're on the  
19 committee. Thank you.

20 Serina, could you read some housekeeping and  
21 conflict-of-interest statements?

22 **Agenda Item: Conflict of Interest Statement**

23 CAPT HUNTER-THOMAS: Sure. Good morning everyone.  
24 My name is Capt. Serina Hunter-Thomas, and it is my  
25 pleasure to serve as the designated federal officer for the

1 151st VRBPAC meeting. The Committee Management Specialist  
2 for this meeting is Ms. Rosanna Harvey, as well as Joyce  
3 Mercer Dickens, and the Committee Management Officer for  
4 this meeting is Ms. Jeannette Devine. Also, in the room  
5 from our office is our Division Director, Dr. Prabhakara  
6 Atreya, and Miss Asia Brown.

7 On behalf of the FDA and the Center for Biologics  
8 Evaluation and Research, and VRBPAC, we would like to  
9 welcome everyone to this meeting. Today's session has two  
10 topics. One that is partially closed to the public, and  
11 one that is open to the public in its entirety. The  
12 meeting topics are described in the Federal Registry notice  
13 that was published on February 13, 2018.

14 The press media representative for today's  
15 meeting is Mr. Paul Richards. Mr. Richards, if you are in  
16 the room, please stand so that everyone can identify you,  
17 and reach out to you as needed. Thank you. The  
18 transcriptionist for this meeting today is Mr. Chanda  
19 Chhay, representing CASET Associates. Thank you.

20 I would like to remind everyone to please check  
21 your pagers and cell phones and make sure they are either  
22 turned off or in silent mode. When making your comment,  
23 please first state your name, and speak up, so that your  
24 comments are accurately recorded for the transcription.  
25 Please keep in mind that this meeting is generally open to

1 the public, and everyone will be in the room listening also  
2 via webcast. I will now proceed to read the conflict-of-  
3 interest statement for this meeting.

4 Good morning, everyone. The Food and Drug  
5 Administration is convening today, March 1, 2018, for the  
6 151st meeting of the Vaccines and Related Biological  
7 Products Advisory Committee under the authority of the  
8 Federal Advisory Committee Act of 1972. With the exception  
9 of the industry representative, all participants of the  
10 Committee are special government employees, SGEs, or  
11 regular federal government employees, RGEs, from other  
12 agencies that are subject to the federal conflict of  
13 interest laws and regulations.

14 The following information on the status of this  
15 Advisory Committee's compliance with federal conflict of  
16 interest laws, including but not limited to 18 US Code,  
17 section 208 of the Federal Food, Drug, and Cosmetic Act, is  
18 being provided to participants at this meeting and to the  
19 public. This meeting will have two separate conflict of  
20 interest disclosure statements read at different times  
21 prior to each topic session that will occur during this  
22 meeting.

23 I will now proceed to read the conflict of  
24 interest statement for topic 1. Under topic 1, during the  
25 open session, the Committee will hear overview



1 presentations of the research programs of the Laboratory of  
2 Mucosal Pathogens and Cellular Immunology, Division of  
3 Bacterial, Parasitic, and Allergenic Products, Office of  
4 Vaccines, Research and Review, from the Center for  
5 Biologics Evaluation and Research. Topic 1 is determined  
6 to be a non-particular matter and there are no affected  
7 firms identified for this topic and no prescreening of the  
8 members and consultants. Based on this agenda topic, it  
9 has been determined that the overview presentations on the  
10 research programs do not pose actual or an appearance of  
11 conflicts of interest.

12           Following the open session, in accordance with 21  
13 CFR section 14.27, implementing 5 U.S.C. 552(b)(c)6, the  
14 Center for Biologics Evaluation and Research is authorized  
15 to hold a closed session of the Vaccines and Related  
16 Biological Products Advisory Committee meeting on March 1,  
17 2018, between 9:15 a.m. and 9:45 a.m. The purpose of this  
18 closed session meeting is to review matters and disclosure  
19 of which would constitute an unwarranted invasion of  
20 personal privacy of permanent CBER staff with regards to  
21 their personnel actions and or staffing decisions.

22           Dr. Leonard Friedland is serving as the alternate  
23 industry representative. He is employed by  
24 GlaxoSmithKline, and it is to be noted that the industry  
25 representatives are not special government employees and

1 are nonvoting members of the Committee. Hence, they do not  
2 participate in the closed sessions and do not have voting  
3 privileges.

4 Mr. Sheldon Toubman is serving as the consumer  
5 representative for this Committee, and consumer  
6 representatives are appointed special government employees,  
7 and are the voting members of the Committee. Hence, they  
8 do participate in the closed sessions and do have voting  
9 privileges.

10 The conflict of interest statement will be  
11 available for public viewing at the registration table  
12 outside, and this concludes my reading of the conflicts of  
13 interest statement for the public record regarding topic 1  
14 for this meeting.

15 Thank you.

16 DR. EDWARDS: I am sorry, Holly, I skipped over  
17 you. Could you tell us who you are? I know you're on the  
18 phone bridge, Holly.

19 DR. JANES: Thank you, Kathryn. I am Holly Janes,  
20 I'm a biostatistician at the Fred Hutch Cancer Research  
21 Center in Seattle, and I apologize for not being there in  
22 person. Thank you.

23 DR. EDWARDS: Thank you. So we're well  
24 represented with statistical expertise, which we appreciate  
25 greatly.

1           So, we'll begin then the overview of the research  
2 and the site visit process. Carolyn Wilson will present  
3 this.

4           **Agenda Item: Topic I: Presentation of Laboratory**  
5 **of Mucosal Pathogens and Cellular Immunology (LMPCI)**  
6 **Division of Bacterial, Parasitic and Allergenic Products**  
7 **(DBPAP), Office of Vaccines Research and Review (OVRP),**  
8 **Center for Biologics Evaluation and Research (CBER)**

9           **Agenda Item: Overview of Research/Site Visit**  
10 **Process, CBER**

11           DR. WILSON: Good morning and thank you. I  
12 realize this is a somewhat off-topic for the reason most of  
13 you are sitting around the table today, so we do appreciate  
14 the opportunity to have a little bit of a side topic, but  
15 this review, this process of doing external reviews of our  
16 research program is a really critical component of  
17 supporting our regulatory mission. And I just want to  
18 start by thanking Dr. Pam McInnes and Karen Kotloff, who  
19 were the co-chairs for this particular site visit, and just  
20 to give a heads-up to those of you who sit on VRBPAC, that  
21 there may be other opportunities to serve on site visits,  
22 and we really rely on the expertise of the members of the  
23 VRBPAC to help lead these site visit teams of our research  
24 programs.

1           So, with that, what we're going to do this  
2 morning is try to give you a little bit of an overview of  
3 the research program at the center, office, and division  
4 level, so you have a context for where LMPCI research fits  
5 into the broader scheme, and then Dr. Stibitz will give you  
6 a little bit more information about the research that goes  
7 on. And then as was noted earlier, we'll go into closed  
8 session where Dr. McInnes will discuss the details of the  
9 site visit reports. So, just a reminder that none of that  
10 should be discussed in open session.

11           Obviously, this Committee knows well that we  
12 regulate vaccines, allergenic products, certain types of  
13 therapeutic probiotics, because these are areas that you're  
14 probably familiar with as your roles on the VRBPAC. In  
15 addition, just to give you a broader scope, we also  
16 regulate cell and gene therapies, certain human tissues,  
17 xenotransplantation products, blood, blood components,  
18 blood derivatives, and certain related devices.

19           The complexity of the products that we regulate  
20 really requires that we have very robust science and  
21 scientific expertise to support our regulatory decisions  
22 and policymaking. And for that reason we have always had a  
23 strong intramural research program. And we view this as  
24 really a critical component of addressing regulatory needs  
25 and advancing product development.

1           And it really begins with a public health issue,  
2 which drives development of a novel product. These novel  
3 products, though, may pose regulatory challenges because we  
4 may not have sufficient science and knowledge to be able to  
5 support regulatory decision-making, such as understanding  
6 of mechanism of action to inform sponsors how to develop a  
7 potency assay, for example, not good enough understanding  
8 of a correlate of protection for a vaccine. Perhaps  
9 there's methods that need to be developed; animal models;  
10 or reference materials, in order to support evaluation of  
11 the product.

12           And so that's where regulatory science, through a  
13 combination of discovery science and targeted development  
14 of new tools, can really help to address some of these gaps  
15 and inform regulatory policy and decision-making. As we  
16 get better policy out to our stakeholders, then they're in  
17 a better position to generate the science that we need to  
18 make those benefit/risk decisions, so that hopefully, at  
19 the end of the day, we can license a product that's safe  
20 and effective and will have the desired positive effect on  
21 the public health, and it doesn't stop there, because, as  
22 you know, we need to continue with post-market  
23 surveillance.

24           And we take a collaborative approach to the  
25 regulation of biologics. Obviously, the data that sponsors

1 submit to the FDA is a critical component, but we do view  
2 our active research and surveillance and internal CBER and  
3 external discussions as also equally important.

4           It's worth noting that our research scientists  
5 are what are called researcher reviewers, which means that  
6 they not only have their own intramural research programs,  
7 but they also have duties similar to fulltime reviewers,  
8 meaning they are reviewing regulatory submissions, they may  
9 go out on inspections, they develop policy documents, they  
10 present here to advisory committees, and so on.

11           And because these individuals have firm footing  
12 in two very important communities, one, their external  
13 scientific professional community, where they may be seeing  
14 science evolving before it's come in to the agency,  
15 allowing us to be proactive in addressing potential  
16 scientific gaps, while also seeing things within the  
17 agency, which allows us to look across a portfolio of  
18 products, and perhaps address those most critical gaps that  
19 could really address a whole product class.

20           Again, our benefits of having research are to  
21 prepare for the future, innovative products, develop tools  
22 and data that are available to all stakeholders. We  
23 publish essentially all of the research that we do and  
24 often integrate what we can into guidance, either

1 informally, as well as formal guidance to sponsors to  
2 support development of products.

3           We obviously have highly trained scientists with  
4 necessary expertise to do the review, and we, again, are  
5 focusing on filling those gaps to inform policy development  
6 and regulatory decision-making.

7           Within the intramural program we have an array of  
8 scientific expertise. I won't read through this list, but  
9 it provides a nice, rich environment for cross-disciplinary  
10 research. But obviously we can't do everything by  
11 ourselves. We can't have all potential expertise within a  
12 fairly small center. I'll just say, also, that within the  
13 intramural program, we also have core facilities supported  
14 by the center, including flow cytometry, confocal and  
15 electron microscopy, traditional biotechnology support,  
16 including now next-gen sequencing, bioinformatics support  
17 for next-gen sequencing, and then a state-of-the-art  
18 vivarium, including a number of imaging tools, as well as  
19 BSL-2 and BSL-3 animal support and transgenic derivation.

20           We have developed a CBER peer mentoring group.  
21 This actually is in response, in part, to some of the  
22 advice we've received when we've brought site visits to  
23 advisory committees. In fact, the VRBPAC in the past has  
24 been very vocal on this issue. This has been going on now  
25 for two years. We're in the third year of doing this.

1 It's a monthly meeting, open to all PIs, but we identify at  
2 least one senior PI who's willing to lead the discussion  
3 and be present, and they've really found this to be very  
4 helpful. It's sort of a self-driven conversation about  
5 issues that are of importance to the PIs, and I think it's  
6 helped not just some of our younger recruits, but also even  
7 the more senior PIs have benefited from this discussion.

8           Obviously, we can't do everything by ourselves,  
9 so we collaborate extensively across the United States,  
10 internationally, and with a variety of different sectors,  
11 including a lot of interactions with academic  
12 investigators, as well as other government agencies, and  
13 industry and nonprofit.

14           So, to finish with the research management  
15 processes, we developed center-level regulatory science and  
16 research goals. You'll also hear from Jay about office-  
17 level goals and objectives. We developed a research impact  
18 framework, to allow both internal and external assessment  
19 to be made based on clear guiding principles, and we have a  
20 number of processes for evaluating our research program.  
21 We've stood up a governance council for research called the  
22 Regulatory Science Council, composed of leadership, as well  
23 as a number of mechanism for doing internal and external  
24 peer review.



1           Our research goals are to advance the scientific  
2 basis for regulation of biologics, human tissues, and  
3 blood, by developing and evaluating technology, reagents,  
4 and standards to inform and improve CMC. Goal two,  
5 developing and assessing nonclinical methods and models,  
6 predictive clinical performance. And goal three, improving  
7 clinical evaluation pre- and post-licensure through a  
8 variety of approaches, including big data, innovative  
9 designs, and statistical analysis and modeling approaches.  
10 And then, importantly, also preparing for future regulatory  
11 and public health challenges.

12           The research impact framework, we view as sort of  
13 on two different levels. One is to look at the portfolio  
14 level, and that's really looking at how our research is  
15 aligning with center and office priorities, objectives, and  
16 goals. Making sure that we have the appropriate expertise  
17 to support our review needs. And making sure that we also  
18 have the internal capability to address urgent public  
19 health needs.

20           And then we also have, in the framework, the more  
21 typical elements that are relevant to a review of  
22 individual projects and PIs, including how the specific  
23 research is addressing our regulatory mission, of course,  
24 the scientific merit, and the PI's historical productivity.

1           So, our internal review of research includes a  
2 peer review group that looks at 25 percent of all research  
3 programs every year, and if there's any new project  
4 proposals, those are looked at in the year they're  
5 proposed. All of the research is reviewed every year by  
6 the supervisor, division, and office levels, and that helps  
7 to inform resource allocation. And then the Regulatory  
8 Science Council does regulatory review. And again, the  
9 research impact framework is applied to all of that.

10           We have an online research reporting database,  
11 where we open that up once a year for three months to allow  
12 PIs to submit a variety of information at the program  
13 level, listed here, as well as at the project level, and  
14 that includes not just the scientific piece, but also how  
15 is this supporting our review needs and the expected  
16 outcomes and impacts. The work -- the projects are  
17 organized around specific aims. We also collect there a  
18 lot of administrative information, as well, to help us in  
19 the evaluation.

20           So, in addition to all of these annual things,  
21 there's also a cyclic peer review every four years, and  
22 that's what we're here to discuss today, which is an  
23 external site visit by peer reviews of the individual  
24 scientists under review.

1           The output of the site visit report that you'll  
2 be discussing in closed session later becomes part of a  
3 larger package that includes also information about the  
4 individual's regulatory work and performance, that goes to  
5 an internal peer review committee called the Promotion and  
6 Evaluation of Researcher Reviewers.

7           We have two career pathways for scientists, from  
8 temporary to permanent. The top track are for independent  
9 principal investigators. They start out in the service  
10 fellowship program. They're called senior staff fellows or  
11 visiting scientists, and they have up to seven years to go  
12 through this process.

13           They need to undergo at least one site visit, and  
14 then go through the CPIR review, and then there's an open  
15 competition, where they, as well as anybody else, can apply  
16 for a position, and if deemed qualified, they'll be hired  
17 as a permanent principal investigator.

18           The support scientist is in the bottom half of  
19 the slide, and those are, again, service fellows that come  
20 in as staff fellows or visiting associates, and similarly,  
21 they go through site visits, CPRR, and open competition  
22 before becoming a permanent staff member.

23           The site visit report that you receive today is a  
24 draft report that Dr. McInnes and her team prepared for  
25 your review. Today, in closed session, you have three

1 potential outcomes of your discussion. You can accept the  
2 report as written. You can amend the report. Or you can  
3 reject the report and send it back to the site visit team.

4           Once it's approved by the full advisory  
5 committee, the final report is really important to us.  
6 It's obviously used as part of that internal peer review  
7 package. The PIs take the scientific input from these  
8 experts very seriously, to improve their research programs,  
9 and then of course management takes into account the advice  
10 in terms of resource allocation decisions.

11           So, I'll just finish where I started, with a big  
12 thank you to the site visit reviewers, to Dr. McInnes, for  
13 her strong leadership of the site visit team, and today, to  
14 you for your deliberations. And I'll stop there and answer  
15 any questions.

16           DR. EDWARDS: Any questions? Okay. Would you  
17 introduce yourself, Dr. Wharton, please?

18           DR. WHARTON: I am Melinda Wharton, Center for  
19 Disease Control and Prevention.

20           DR. EDWARDS: So, our next speaker, to introduce  
21 an overview of the division of Bacterial, Parasitic, and  
22 Allergenic Products, is Dr. Jay Slater, the director of  
23 this division.

24           Dr. Slater.

25

1                   **Agenda Item: Overview of OVR/DBPAP**

2                   DR. SLATER: Thank you very much. Good morning.

3                   Actually it's my job to bring you down two steps.

4                   Ultimately we're going to be talking about LMPCI. Dr.

5                   Wilson gave you background on CBER and CBER's research

6                   program. I'm going to bring you through the Office of

7                   Vaccines' research program, then the division, and then

8                   you'll be ready to hear from Dr. Stibitz about the lab.

9                   OVR, the Office and Vaccines Research and

10                  Review, regulates vaccines, obviously, but also allergenic

11                  products, live biotherapeutic products, including

12                  probiotics and fecal microbia for transplantation, and

13                  phage therapy as well. OVR's mission is to protect and

14                  enhance the public health by assuring the availability of

15                  safe and effective vaccines, allergenic products, and

16                  related products, and the research program is specifically

17                  designed to complement and support the regulatory mission,

18                  by focusing on issues related to the development of safe

19                  and effective products.

20                  OVR's core activities -- obviously the main

21                  activity is the regulatory part, and that is to review,

22                  evaluate, and take appropriate action on INDs, BLAs,

23                  amendments, and supplements, for all of these products. We

24                  also develop policies and procedures for the premarket

25                  review of regulated products. But very importantly, and

1 what we're going to focus on today, conduct research  
2 related to the development, manufacture, and evaluation of  
3 vaccines and related products.

4           Here's our organizational chart. The Office of  
5 Vaccines is headed by Dr. Marion Gruber. Her Deputy is Dr.  
6 Phil Krause. There are three large divisions within the  
7 Office of Vaccines. One of them is the Division of  
8 Vaccines and Related Product Applications; that's on the  
9 right. In shorthand, we call that the clinical division.  
10 That's headed by Dr. Wellington Sun and Dr. Loris McVittie.

11           There are two research divisions. One of them,  
12 on the left, is the Division of Viral Products, headed by  
13 Dr. Jerry Weir and Dr. Robin Levis. And the division that  
14 we're going to be concerned about today, is headed by me,  
15 the Division of Bacterial, Parasitic, and Allergenic  
16 products, and my Deputy Director Dr. Drusilla Burns.

17           OVRR's research goals are to focus on the safety,  
18 the efficacy, and the availability of the products that we  
19 regulate. And in fact, one of the most newsworthy  
20 achievements, in terms of research, really had to do with  
21 the availability of meningococcal vaccine in Africa several years  
22 back. But virtually all of the research projects within  
23 the division can be classified as belonging to one of these  
24 three research goals.

1           We like to think of research as particularly  
2 important in our part of the FDA. Obviously, research and  
3 science is what drives all of FDA's regulatory activities,  
4 but we think that there's something particular about  
5 vaccines that makes research especially important. There's  
6 a tremendous emphasis on safety for vaccines.

7           These are products that intended for mass use,  
8 often universal, the recipients are healthy individuals,  
9 often children, and therefore safety is of primary  
10 importance. It's also of primary importance because  
11 there's a high level of scrutiny by the public of our  
12 products. Regulatory decisions really have to be well-  
13 grounded in science, and obviously, there are increasing  
14 number of groups that are hostile to part of our portfolio,  
15 and we have to make sure that our regulatory decisions are  
16 absolutely bulletproof.

17           Keeping pace with technology is critically  
18 important. We have to have scientists that are actively  
19 involved in science today, to be able to keep pace with  
20 that technology, and our model, I think, serves that  
21 purpose.

22           Clearly, as well, we have to be able to respond  
23 quickly to public health threats. All of our scientists  
24 understand that their projects can be redirected,  
25 subverted, if you will, in view of important public health

1 threats, and certainly antibiotic resistance and C. diff  
2 are of primary importance.

3           We intend our research to be broad. We can't  
4 cover everything, and we don't really try to cover  
5 everything, but we really try to cover the ground as best  
6 we can. We intend it to be highly collaborative, as Dr.  
7 Wilson showed, not only within the agency but around the  
8 country and around the world. We think the investigator-  
9 initiated model is really the best, and we really cherish  
10 it, and you will see evidence of that in the report that  
11 you'll be reviewing in closed session.

12           We fully expect our research to be excellent.  
13 Our motivation is primarily the regulatory mission, but it  
14 is our expectation that our research scientists are members  
15 of the broader research community, that their work is  
16 recognized as excellent by that community, and by well-  
17 known experts in the field. And we expect our science to  
18 be flexible. Our scientists work on topics that allow for  
19 rapid adaptation in case of emerging threats.

20           And then finally -- this is my last slide on OVRR  
21 -- the researcher-reviewer model is really the core of our  
22 research program. It's our expectation that having  
23 researchers who are also reviewers and regulators  
24 concurrently is going to best integrate the best science  
25 into the work that we do.



1           Let me bring you down to the Division of  
2 Bacterial, Parasitic, and Allergenic Products. This is a  
3 division that has four labs within it, only one of which  
4 you'll be reviewing today. I'm the director. Drusilla  
5 Burns is my deputy. The lab that you'll be reviewing is to  
6 the lower right, and therefore I'll speak least about that.  
7 The three other labs are the Lab of Bacterial  
8 Polysaccharides, the Lab of Immunobiochemistry, in which  
9 I'm a principal investigator as well, and the Lab of  
10 Respiratory and Special Pathogens.

11           There's no really easy and obvious way to review  
12 the portfolios of our division in these labs, but this is  
13 an attempt that I've made to do that. If you look at what  
14 we do through the lens of the organisms and the products  
15 that we regulate, you'll that among the bacteria, we have,  
16 in the upper left, noninvasive toxin-producing bacteria --  
17 anthrax, pertussis, botulinum, tetanus, diphtheria, and C.  
18 diff.

19           We have, as well, invasive organisms for which  
20 the protective responses seem to be predominantly through  
21 their polysaccharides. Obviously, H. flu and Neisseria  
22 meningitidis, and Strep pneumo. We have intracellular  
23 pathogens -- Francisella tularensis, Mycobacterium  
24 tuberculosis. And we have enteric pathogens -- campy,  
25 salmonella, shigella. We have parasites -- we focus on

1 malaria. And we have other and emerging products, Staph  
2 aureus, allergenic products, live biotherapeutic products,  
3 phage, and other microbiome-related products.

4           So one way to look at the different labs is to  
5 see which organisms they focus on. So, the Lab of  
6 Bacterial Polysaccharides focuses, obviously, on the  
7 invasive organisms for which the protective responses are  
8 the polysaccharides. One of the salmonella typhi vaccines  
9 is an injected vaccine and is largely a polysaccharide  
10 vaccine, and that is regulated by LBP as well.

11           If you go the Lab of Immunobiochemistry, that's  
12 my lab, we regulate allergenic products. That only makes  
13 up one entry in this table. It's a little deceptive,  
14 because there are over 1,000 allergenic products, and it's  
15 a very varied and rapidly evolving field. But that's the  
16 group of products that we regulate.

17           The Lab of Respiratory and Special Pathogens  
18 focuses on the toxin producers in the upper left. The Lab  
19 of Respiratory and Special Pathogens is often -- was also  
20 tasked, along with LMPCI, which we'll be looking at today,  
21 at looking at Staph aureus as an emerging pathogen, and  
22 that's a collaborative effort of the two labs.

23           And finally, the Lab of Mucosal Pathogens and  
24 Cellular Immunology, which has a broad and varied palette.  
25 One of the investigators focuses on C. diff, in the upper

1 left-hand corner. There's a major effort focusing on  
2 intracellular pathogens in the lower left corner.  
3 Obviously, the enteric pathogens in the upper right are a  
4 major area of focus.

5           You might not expect this lab to focus on  
6 malaria, but we do. And in the lower right, this lab is  
7 actively involved in the two-lab effort in preparing for  
8 Staph aureus vaccines but also focuses on the live  
9 biotherapeutic products, the phage and the microbiome-  
10 related products.

11           LMPCI is a very large lab. October 25 was an  
12 extremely busy day. The Committee heard from nine members  
13 of the lab during the course of the day. And I want to add  
14 my thanks to Dr. McInnes, and the rest of the Site Visit  
15 Committee. It was a lot of hard work, and we all  
16 appreciated what you put in on that day and after.

17           I'll be happy to take any questions.

18           DR. EDWARDS: I would like to introduce the next  
19 speaker, Dr. Scott Stibitz, who is the director of the  
20 Laboratory of Mucosal Pathogens and Cellular Immunology,  
21 and he will present an overview of LMPCI.

22           **Agenda Item: Overview of LMPCI**

23           DR. STIBITZ: Thank you very much. So, descending  
24 through the layers of organization, you've now arrived at  
25 your destination. This is the laboratory level. The

1 laboratory level is the level just above principal  
2 investigator.

3           And I think, at this point, it's reasonable to  
4 ask who are we? And I believe answers to that question  
5 have multiple levels. At the CBER level, as you've heard  
6 in the preceding presentations, I don't think I can improve  
7 on that, but just to reiterate, we're bench scientists who  
8 also regulate products under FDA jurisdiction.

9           And, very briefly, what do we mean by regulate?  
10 Human clinical trials are done under INDs, which we review.  
11 And of course, the ultimate goal is a licensure and an  
12 approved product, and we are part of the team that reviews  
13 those license applications. As Jay has pointed out, we are  
14 laboratory-based as well, and our role in the review of  
15 applications is generally based on, or focused on, the  
16 product, in terms of manufacturing, but also testing.

17           Who are we, at the lab level? I tend to think  
18 that LMPCI is in this regard like a typical micro-  
19 department. Because we're focused on infectious disease,  
20 we have both microbiologists and immunologists. LMPCI's  
21 research programs are varied, and they relate to the  
22 products that we regulate. Primarily to ensure that those  
23 products are safe and potent. In other words that they  
24 have the potential to be efficacious.

1           Dr. Wilson just briefly alluded to the issue of  
2 potency assays, but this is at the core of determining  
3 product potency and efficacy in a reliable fashion. And so  
4 I think when I get to the point where we're talking about  
5 some regulatory challenges, potency assays will come up  
6 again, but this is something we could spend a day talking  
7 about. I will now move on.

8           I think you've very clearly gotten the overall  
9 organization of our institution. I want to give you a  
10 little bit of lab history, briefly go through our  
11 regulatory portfolio, briefly discuss a couple of  
12 regulatory challenges, and then just close with some  
13 thoughts about our research programs.

14           As Dr. Slater pointed out, these are LMPCI staff  
15 who presented at our October 25 visit. The far-left  
16 bullets are the PIs. Dr. Cowley is an immunologist. She's  
17 working on methods to predict and improve efficacy of  
18 vaccines against bacterial pathogens.

19           Our newest PI is Dr. Paul Carlson. He's been  
20 here about three years now. I think he's working on  
21 vaccine development against gastrointestinal pathogens. At  
22 least that's what he thought. Since he's come here, his  
23 research has really branched out heavily into microbiome-  
24 related matters, and he's really a shining star in our  
25 laboratory.

1           For my laboratory, I had four staff scientists or  
2 staff fellows presenting -- Dr. Qing Chen, who works on  
3 *Bordetella pertussis* regulation; Dr. Dharmasena, who was  
4 evaluating Ty21a as an oral vaccine delivery platform; Dr.  
5 Oakley, who works in the field of malaria in terms of  
6 biomarkers and preclinical models; and Dr. Stephen Derrick,  
7 who was a TB researcher and has spent a lot of time looking  
8 at novel vaccination strategies for TB, both in terms of  
9 routes of administration, as well as adjuvants; and  
10 finally, last but not least, Dr. Karen Elkins, is looking  
11 at intercellular pathogens, biomarkers, and from her  
12 laboratory, we had Dr. Roberto De Pascalis. So, those are  
13 the people who were really addressed in the site visit book  
14 that I believe you have access to.

15           A little bit about LMPCI history. We are a  
16 fairly new lab. And we were formed by the merger of two  
17 existing labs. The oldest of those was the Laboratory of  
18 Mycobacterial Diseases and Cellular Immunology, which was  
19 created, I think, before I came 30 years ago, to  
20 consolidate review of TB vaccine files. But over the  
21 years, research projects were added to address additional  
22 regulatory responsibilities in the field of malaria.

23           The Laboratory of Enteric and Sexually  
24 Transmitted Diseases was created de novo in 1993, and one  
25 of its purposes was to review a large number of enteric

1 vaccines we were receiving, but we were also anticipating a  
2 spike in the number of STD vaccine applications.

3           And so, when those two labs -- when the lab chief  
4 for LMBCI, the mycobacterial lab, left, I took over as lab  
5 chief and we merged these two to form LMPCI. We've had the  
6 position that Dr. Morris left open, open for a while.  
7 We've had two job searches, and in the last one I think we  
8 were highly successful, so successful that we've actually  
9 recruited two new PIs. So we have one PI working on  
10 malaria, and one on TB. And I'm extremely excited about  
11 that.

12           Just very quickly, our regulatory portfolio in  
13 the area of vaccines, as Dr. Slater has outlined, we have  
14 TB vaccines. Historically, those have been subunit  
15 vaccines, purified proteins, although also some DNA  
16 vaccines, when that was all the rage. But more recently, a  
17 lot more thought about live attenuated TB vaccines.

18           Somewhat similarly, in the malaria field,  
19 historically those have been subunit or purified protein  
20 vaccines, but there's now a lot of activity on live  
21 attenuated, attenuated either through genetic alteration or  
22 by radiation.

23           And of course, over the years we've had all of  
24 the enteric vaccines. Our two licensed products in our  
25 portfolio are both live attenuated -- that's Vivotif for

1 typhoid and Vaxchora which was licensed last year for  
2 cholera. But we also have quite a number of subunit  
3 vaccines, and similarly the STD vaccines so far have been  
4 subunit vaccines.

5           But, in recent years we've expanded into the area  
6 of therapeutics. First, we were dragged in on probiotics,  
7 when people were doing trials with a lot of over-the-  
8 counter probiotics, but they met the definition of a drug,  
9 and so we had to regulate them, and that was extremely  
10 challenging. But more recently we've had sponsors  
11 interested in really developing live biotherapeutic  
12 products. We toss the term around pharmaceutical grade.  
13 But specifically developed for drug indications with  
14 attendant attention to CMC issues and also rationally  
15 chosen for their properties.

16           Fecal transplant hit us about five years ago.  
17 That's been interesting, so the product here is fecal  
18 material. And the kind of evolution of that product is,  
19 one way of looking at it is, into defined microbial  
20 consortia -- so, trying to understand what it is about  
21 fecal material that works to prevent C. difficile  
22 infection, and really plucking out the bacterial strains  
23 that are crucial and putting those in a more defined  
24 product.



1           And even more recently, we're dealing with  
2 bacteriophage therapy. The products that -- the overall  
3 product areas that we're looking at are defined products,  
4 like defined phage cocktails, but also we're now facing the  
5 concept of phage banks for treatment, so you could call  
6 this personalized medicine, you can call it the treatment  
7 center model, but the idea is that a given patient will be  
8 treated with a subset of perhaps thousands of different  
9 phage.

10           And I'll just give two challenges in the CMC  
11 regulatory area that I think illustrate the value of our  
12 research programs. In the area of live biotherapeutic  
13 products, the inherent problem in terms of microbial purity  
14 and safety is finding contaminants in a preparation of  $10^9$   
15 to  $10^{10}$  bacteria, and so we've had a research project for  
16 a number of years, developing improved tests for microbial  
17 purity, by using phage lysins, which most people use to  
18 kill the bad bugs and we're using to kill the good bugs, to  
19 remove the product bacteria, so that we can see any  
20 contaminants in an unbiased and robust fashion.

21           And in terms of fecal microbiota for  
22 transplantation, you can probably imagine that designing a  
23 potency assay that is meaningful for FMT is extremely  
24 difficult, even though we have the ability to sequence huge

1 amounts of information. Unless you know how to interpret  
2 it, it's not of that much value.

3           And so, really the holy grail has been to try to  
4 determine what bacterial species are really crucial. And  
5 Dr. Carlson and Dr. Cowley are collaborating on a project  
6 which I'm incredibly excited about. They found that mice  
7 with a knockout of MAIT-cells, instead of being, as they  
8 had predicted -- because it's an immune defect, more  
9 susceptible to C. diff infection -- found out that they're  
10 resistant. And so what this means is they now have a  
11 reliable source of fecal material that works to prevent C.  
12 diff colonization and one that doesn't. And so with that  
13 tool they can start to pick apart a species that may  
14 actually be responsible.

15           And then finally, this is my closing slide. I  
16 just wanted, because I can't go through all our research  
17 programs in the time allotted, I wanted to provide some  
18 general thoughts, and these are from the point of view of  
19 someone who's worked here for 30-plus years and loves their  
20 job.

21           So, basically, I view our research programs, as  
22 all of those in CBER, as providing a cadre of scientists to  
23 perform regulatory review who are active in the research  
24 fields relevant to the products they regulate, and who are  
25 also free of conflict of interest. They allow us to speak

1 with scientific as well as regulatory authority. They also  
2 provide us with state-of-the-art, first-hand knowledge of  
3 the areas that we regulate.

4           They provide expertise to design tests, to assess  
5 safety, purity, and potency. And in that way, I think,  
6 lead to regulatory decisions that are based on problem  
7 solving, not just problem identifying. And finally -- this  
8 is a phrase that we use a lot -- they provide for sound  
9 scientific judgment that addresses the technical  
10 feasibility of the things that we request of sponsors, and  
11 therefore provide an ability to separate need-to-know,  
12 versus nice-to-know.

13           And I'll stop there. Thank you.

14           DR. EDWARDS: Thank you, Scott. Are there  
15 questions?

16           Yes, Paul.

17           DR. OFFIT: Just one quick question. I hadn't  
18 realized that the FDA regulated probiotics. Is that -- do  
19 you hold probiotics to an efficacy standard?

20           DR. STIBITZ: It's an area that spans different  
21 centers within the agency. It depends on whether the  
22 intended use is to treat a disease. So, CFSAN, the Center  
23 for Food Safety and Nutrition, regulates them as dietary  
24 supplements. But the claims that they can make are  
25 limited. If they want to -- if a clinician, for example,

1 wants to study even an over-the-counter probiotic to  
2 prevent, say, antibiotic-associated diarrhea, it's now  
3 legally a drug, and that's when we step in.

4 DR. EDWARDS: Any other questions?

5 Thank you very much. So we will now go into  
6 closed session.

7 (Break.)

8 **Agenda Item: Topic II: Strain Selection for the**  
9 **Influenza Virus Vaccines for the 2018-2019 Influenza Season**

10 DR. EDWARDS: We are going to begin Topic 2 of  
11 this meeting today: the strain selection for the influenza  
12 virus vaccines for the upcoming influenza season. We will  
13 begin first with Ms. Hunter-Thomas, reading the  
14 housekeeping and conflict of interest statement.

15 I guess since some of the people in the audience  
16 were not here when we introduced ourselves at the beginning  
17 then we will go around one more time and introduce  
18 ourselves.

19 Len, can you start please?

20 DR. FRIEDLAND: Good morning. Dr. Leonard  
21 Friedland, the Alternate Industry Representative,  
22 pediatrician, vaccine researcher.

23 DR. KATZ: Good morning. I am Jackie Katz from the  
24 Influenza Division, CDC.

1 DR. OFFIT: I am Paul Offit from Children's  
2 Hospital of Philadelphia and University of Pennsylvania  
3 School of Medicine.

4 DR. MONTTO: Arnold Monto, University of Michigan,  
5 School of Public Health.

6 DR. MCINNES: Pamela McInnes, Deputy Director of  
7 the National Center for Advancing Translational Sciences at  
8 the NIH.

9 DR. EL SAHLY: Hana El Sahly, Baylor College of  
10 Medicine.

11 DR. EDWARDS: Kathy Edwards, Vanderbilt  
12 University.

13 DR. BENNINK: Jack Bennink, NIH, NIAID, Viral  
14 Immunology Section.

15 DR. BOK: I'm Karin Bok, National Vaccine Program  
16 Office, HHS.

17 DR. WIESEN: Andy Wiesen., Office of the Secretary  
18 of Defense for Health Affairs, Health Readiness Policy and  
19 Oversight.

20 MR. TOUBMAN: Sheldon Toubman, Consumer  
21 Representative with New Haven Legal Assistance Association.

22 DR. WEIR: Jerry Weir, Division of Viral Products,  
23 CBER.

24 DR. GRUBER: Marion Gruber, Office of Vaccine,  
25 CBER.

1 DR. EDWARDS: Holly, would you introduce yourself  
2 too please on the phone?

3 DR. JANES: Holly Janes, a biostatistician at Fred  
4 Hutchinson Cancer Research Center.

5 DR. EDWARDS: Thank you very much. Serina, would  
6 you please read the conflict of interest and housekeeping  
7 statements?

8 **Agenda Item: Conflict of Interest Statement**

9 CAPT HUNTER-THOMAS: Yes. Also, I would first like  
10 to check if Dr. Lisa Grohskopf is on the line.

11 (No response)

12 Good morning everyone again. My name is Captain  
13 Serina Hunter-Thomas and it is my pleasure to serve as the  
14 designated federal officer for the 151<sup>st</sup> VRBPAC meeting. The  
15 Committee Management Specialist for this meeting is Ms.  
16 Rosanna Harvey along with Joyce Mercer-Dickens. The  
17 Committee Management Officer for this meeting is Ms.  
18 Jeanette Devine. We also have Dr. Prabhakara Atreya in the  
19 room and Ms. Asea Brown in the room.

20 On behalf of the FDA, the Center for Biologics  
21 Evaluation and Research and VRBPAC, we would like to  
22 welcome everyone to this meeting.

23 The Food and Drug Administration is convening  
24 today, March 1, 2018, for the 151<sup>st</sup> meeting of the Vaccines  
25 and Related Biological Products Advisory Committee under

1 the authority of the Federal Advisory Committee Act of  
2 1972.

3           With the exception of the industry  
4 representative, all participants of the Committee are  
5 special government employees or regular federal government  
6 employees from other agencies and are subject to the  
7 federal conflict of interest laws and regulations. The  
8 following information on the status of this Advisory  
9 Committee's compliance with federal ethics and conflict of  
10 interest laws, including but not limited to 18 US Code 208  
11 is being provided to participants at this meeting and to  
12 the public. This conflict of interest statement will be  
13 available for public viewing at the registration table.

14           Under Topic II at this meeting, the entire  
15 meeting is conducted in the open session during which the  
16 Committee will discuss and make recommendations on the  
17 selection of strains to be included in the influenza virus  
18 vaccines for the 2018 to 2019 influenza season. This topic  
19 is determined to be a particular matter involving specific  
20 parties or PMISP.

21           Related to the discussions at this meeting, all  
22 members and consultants of this Committee have been  
23 screened for potential conflict of interest of their own as  
24 well as those imputed to them, including those of their  
25 spouse or minor children and for the purpose of 18 US Code

1 208, their employers. These interests may include  
2 investments, consulting expert witness testimony, contracts  
3 and grants, CRADAs, teaching, speaking, writing, patents  
4 and royalties and primary employment.

5           The FDA has determined that all members of this  
6 Advisory Committee are in compliance with federal ethics  
7 and conflict of interest laws. Under 18 US Code 208,  
8 Congress has authorized FDA to grant waivers to special  
9 government employees and regular government employees who  
10 have financial conflicts when it is determined that the  
11 agency's need for a particular individual service outweighs  
12 his or her potential conflict of interest. However, based  
13 on today's agenda, an all financial interest reported by  
14 members and consultants, no conflict of interest waivers  
15 were issued under 18 US Code 208.

16           Dr. Friedland is currently serving as the  
17 alternate industry representative for this meeting. Dr.  
18 Friedland is employed by GlaxoSmithKline. Industry  
19 representatives act on behalf of all related industry and  
20 bring general industry perspective to the committee.  
21 Industry representatives are not appointed as special  
22 government employees and are non-voting members of the  
23 Committee. Hence, industry representatives do not  
24 participate in the closed sessions and do not have voting  
25 privileges.



1           Mr. Sheldon Toubman is serving as the consumer  
2 representative for this Committee. Consumer representatives  
3 are appointed special government employees and are voting  
4 members of the Committee. Hence, they do participate in the  
5 closed sessions and do have voting privileges.

6           At this meeting, there may be regulated industry  
7 speakers and other outside organization speakers making  
8 presentations. These speakers may have financial interests  
9 associated with their employer and with other regulated  
10 firms. The FDA asks in the interest of fairness that they  
11 disclose any current or previous financial involvement with  
12 any firm whose product they may wish to comment upon. These  
13 individuals were not screened by the FDA for conflict of  
14 interest.

15           The FDA encourages all other participants to  
16 advise the Committee of any financial relationships that  
17 they may have with any firms as products and if known its  
18 direct competitors. We would like to remind members,  
19 consultants and participants that if the discussions  
20 involve any other products of firms not already on the  
21 agenda for which an FDA participant has a personal or  
22 imputed financial interest, the participants need to inform  
23 the DFO and exclude themselves from such involvement and  
24 their exclusion will be noted for the record.

1           This concludes my final reading of the conflict  
2 of interest statement for the public record related to  
3 Topic II. Thank you.

4           DR. EDWARDS: Thank you very much. We will begin  
5 this very important meeting with a presentation and an  
6 introduction by Anissa Cheung, regulatory coordinator of  
7 the Division of Viral Products and the Office of Vaccines  
8 Research and Review at CBER.

9           **Agenda Item: Introduction**

10           MS. CHEUNG: Good morning everyone. I would like  
11 to introduce the topics of today's VRBPAC meeting is for  
12 the strain selections for influenza virus vaccines for the  
13 2018 and 2019 season.

14           The purpose of today's VRBPAC's meeting  
15 discussion is to review influenza surveillance and  
16 epidemiology data, also antigenic characteristics of recent  
17 virus isolates, serological response to current vaccines,  
18 and the availability of the candidate vaccine strains and  
19 reagents.

20           After you review all those data and at the end of  
21 the discussions, the Committee will be asked to make  
22 recommendations for strains of influenza A, which includes  
23 H1N1 and H3N2 and B viruses to be included in the 2018 to  
24 2019 influenza vaccines licensed for use in the United  
25 States.

1           You are going to hear several presentations. And  
2 the types of analyses that are used for vaccine strain  
3 selections include epidemiology of circulating strains. CDC  
4 will have a presentation on the surveillance data from the  
5 US as well as around the world.

6           You will also hear antigenic relationships among  
7 contemporary viruses and the candidate vaccine viruses. You  
8 will hear a couple of talks from CDC and also DoD. And the  
9 methods and techniques that will be used to assess the  
10 antigenicity includes hemagglutination inhibition tests  
11 using post-infection ferret sera, also the hemagglutination  
12 inhibition tests using panels of sera from humans receiving  
13 recent inactivated influenza vaccines. You will also hear  
14 data from the virus neutralization tests, the antigenic  
15 cartography, phylogenetic analyses of the HA and NA genes.  
16 You will also hear vaccine effectiveness report.

17           As you may know, there are challenges for vaccine  
18 strain selection. First of all, the vaccine effectiveness  
19 depends on the match between the hemagglutinin of the  
20 vaccine strain and the circulating virus strain. Antigenic  
21 drift of hemagglutinin is continuous both for influenza A  
22 and B viruses, but the antibody of hemagglutinin correlated  
23 with vaccine efficacies.

24           Also, the timelines for influenza vaccine  
25 production are relatively fixed. Usually the strain

1 selection occurs in February or March, and it is necessary  
2 in order to have the vaccines for subsequent northern  
3 hemisphere season to be available.

4           Also, the time manufacturers have to begin  
5 productions of monovalent bulk of one of the strains before  
6 strain selection is being recommended. They have to do it  
7 at risk in order to make sure that the vaccines will be  
8 available for the coming season.

9           Also, the availability of the reference strains,  
10 which we also call the candidate vaccine viruses. The  
11 suitability of the vaccine strain for vaccine manufacturer  
12 is very critical too. The vaccine production depends on the  
13 growth properties of the strain and the strain have to grow  
14 well and have adequate HAUs for vaccine manufacturers.

15           In addition, strain-specific reagents are needed  
16 for potency determination for inactivated vaccines as well  
17 as the recombinant protein vaccines.

18           I would like to show you this table to illustrate  
19 a very tight production timeframe of the seasonal influenza  
20 vaccines starting from surveillance to the administrations  
21 of the vaccine to the general public. As you can see, after  
22 the selection of the strain, there are multiple steps,  
23 which increase the generation of the candidate vaccine  
24 virus, productions of the reference reagent, and also  
25 productions of the monovalent bulk as well as formulations

1 into the final vaccines. And those vaccines also have to be  
2 released by the agency. And those steps really takes time.  
3 It takes several months after the strain selections before  
4 it can be distributed to the market for general public use.

5 For the candidate vaccine virus that are used for  
6 the production of the inactivated influenza vaccines are  
7 traditionally egg isolated. And the antigenicity is  
8 characterized by the WHO collaborating centers.

9 Starting in August 2016, the use of MDCK cell  
10 isolated candidate vaccine virus was approved for the  
11 manufacture of Flucelvax monovalent bulk, which is a cell-  
12 based influenza vaccine. This cell derived candidate  
13 vaccine virus is manufacturer specific. The CVV is derived  
14 from two approved WHO collaboration centers. The antigenic  
15 analysis is performed as the same way that was performed  
16 for the egg isolated vaccine virus strain.

17 The working virus seeds are approved for quality  
18 as well as safety by the National Regulatory Authorities.

19 Due to the co-circulations of the two  
20 antigenically distinct lineages of influenza B viruses  
21 represented by B/Victoria lineage and B/Yamagata lineage,  
22 the quadrivalent influenza vaccines have been approved for  
23 several years. Currently, we have seven quadrivalent  
24 vaccines licensed in the US, but both trivalent and  
25 quadrivalent influenza vaccines are available. Based on the

1 2017 and 2018 data, about 75 percent of the influenza  
2 vaccines are marketed as quadrivalent.

3           The current process for selecting appropriate B  
4 strains for inclusion in the trivalent and quadrivalent  
5 vaccines is similar to what have been done for the  
6 trivalent vaccines. We will have WHO and VRBPAC review the  
7 data and make recommendations for each formulation for both  
8 the trivalent and quadrivalent.

9           I would like to have a quick recap of the  
10 previous recommendations of the influenza vaccine  
11 compositions for the 2017 and 2018. We had the VRBPAC  
12 meeting on March 2017 last year, and the VRBPAC recommends  
13 the following strain for the 2017 and 2018 influenza virus  
14 vaccines. For H1N1, it is an A/Michigan/45/2015  
15 (H1N1)pdm09-like virus.

16           For H3N2, it is an A/Hong Kong/4801/2014 (H3N2)-  
17 like virus. For trivalent vaccines, the B strain  
18 recommended B/Brisbane/60/2008-like virus from the Victoria  
19 lineage. For quadrivalent vaccines include about three  
20 viruses as well as a B/Phuket/3073/2013-like virus, which  
21 is from Yamagata lineage.

22           VRBPAC also had another meeting held on October  
23 2017 to give recommendations for the antigenic composition  
24 of influenza virus vaccines for the southern hemisphere  
25 2018. The recommended strain A/Michigan/45/2015 (H1N1)

1 pandemic 09-like virus. For H3N2, an A/Singapore/INFIMH-16-  
2 0019/2016 (H3N2)-like virus. For trivalent vaccines, they  
3 recommend a B/Phuket/3073/2013-like virus from Yamagata  
4 lineage. For quadrivalent vaccines, contained about three  
5 viruses as well as B/Brisbane/60/2008-like virus, which is  
6 from Victoria lineage.

7           Right now, for this - actually, the WHO has a  
8 meeting, just a little bit a week ago, on February 22,  
9 2018. They give recommendations for influenza virus  
10 composition for the northern hemisphere 2018 and 2019. And  
11 the following strains are being recommended. For the H1N1,  
12 an A/Michigan/45/2015 (H1N1)pdm09-like virus. There is no  
13 change from the 2017 and 2018 Northern Hemisphere  
14 recommendation. For H3N2, they recommend an  
15 A/Singapore/INFIMH-16-0019/2016 (H3N2)-like virus. There is  
16 a change from A/Hong Kong/4801/2014 (H3N2)-like virus, but  
17 same as 2018 Southern Hemisphere recommendation.

18           For trivalent, the recommended B strain is a  
19 B/Colorado/06/2017-like virus, which is from a B/Victoria  
20 lineage. It is a change from the B/Brisbane/60/2008-like  
21 virus vaccine recommendation.

22           For quadrivalent vaccines, they recommended  
23 containing about three viruses as well as a  
24 B/Phuket/3073/2013-lke virus, which is from the

1 B/Yamagata). There is no change from the 2017-2018  
2 recommendation.

3 As in previous years, national and regional  
4 control authorities have to approve the composition and  
5 formulations of vaccines used in each country.

6 Now, I would like to let you know that at the end  
7 of the discussion, the Committee will be asked to decide  
8 the influenza strains which need to be recommended for the  
9 antigenic composition of the 2018 and 2019 influenza virus  
10 vaccines in the US.

11 I would like to show you the options for the  
12 strain composition for the 2018 and 2019 trivalent  
13 influenza vaccines.

14 For influenza A, the H1N1 strain, you can either  
15 recommend an A/Michigan/45/2015 (H1N1)pdm09-like virus or  
16 recommend an alternative H1N1 candidate vaccine virus.

17 For influenza A (H3N2) subtype, you can either  
18 recommend an A/Singapore/INFIMH-16-0019/2016 (H3N2)-like  
19 virus or recommend an alternative H3N2 candidate vaccine  
20 virus.

21 For influenza B, you have three choices. You can  
22 recommend a B/Colorado/06/2017-like virus, which is from  
23 B/Victoria lineage or you can recommend an alternative  
24 candidate vaccine virus from the B/Victoria lineage or you



1 can recommend a candidate vaccine virus from the B/Yamagata  
2 lineage.

3           The options for the quadrivalent vaccines for the  
4 second B strain also have three choices. Either recommends  
5 inclusion of a B/Phuket/3073/2013-like virus from Yamagata  
6 linear or recommend an alternative candidate vaccine virus  
7 from the Yamagata lineage, or recommend a candidate vaccine  
8 virus from the B/Victoria lineage.

9           Before I end my talk, I would like to freshen up  
10 the voting questions that the Committee will be asked to  
11 vote at the end of the meeting. Thank you.

12           DR. EDWARDS: Thank you. Are there any questions?  
13 Thank you very much.

14           Dr. Shane, I think we missed you. If you could  
15 introduce yourself that would be great.

16           DR. SHANE: Andy Shane. I am at Emory University  
17 and I represent pediatric infectious disease.

18           DR. EDWARDS: Thank you very much.

19           The next report will be from Dr. Lisa Grohskopf  
20 at the CDC. She will present information on US influenza  
21 surveillance and interim estimates of vaccine effectiveness  
22 for 2017 through 2018.

23           **Agenda Item: US Surveillance**

24           DR. GROHSKOPF: Thanks everyone. Good morning. I  
25 am going to move on to Slide 2. I am going to cover three

1 things. US surveillance. This is from the CDC FluView  
2 Systems and it will be current as of Week 7 of the calendar  
3 year, which is February 17 of 2018. And as summary of  
4 interim 2017 and 2018 influenza vaccine effectiveness  
5 estimates from the US Flu VE Network. And then finally, I  
6 have a very brief update from the Influenza Session at the  
7 most recent ACIP meeting, which occurred on February 21.

8 I am going to be starting with US surveillance.  
9 First with influenza positive test results reported to CDC.  
10 These come from both clinical laboratories and public  
11 health laboratories and are presented separately.

12 These are clinical laboratory results. In  
13 general, for the clinical laboratories, subtype and lineage  
14 determinations are not made. For the most part, these  
15 results are just reported as influenza A or influenza B.  
16 Colored bars, yellow for influenza A, and green for  
17 influenza B, represent the numbers of positive specimens.  
18 The different lines at the top black, the percent positive  
19 specimens for any virus, the middle line, yellow black  
20 dotted line, percent positive for flu A, and the bottom  
21 line, green and black, is percent positive for flu B.

22 Overall, you can see that A is in yellow have  
23 predominated the season. But in recent weeks, the  
24 proportion of influenza B viruses has increased. We also  
25 see that the percent of specimens positive for flu B is

1 increasing while the percent of A has started to decrease  
2 over the last several weeks.

3           These are similar results from the same system,  
4 but these come from the public health labs, which generally  
5 do perform typing and lineage determinations on specimens.  
6 We have more colors in this graph than in the last one.

7           H3N2 viruses in red had predominated the season.  
8 There is also still however some circulation of  
9 (H1N1)pdm09, which is shown by the orange, almost at the  
10 top of the bars.

11           With regard to influenza B viruses, the two  
12 shades of green at the bottom of each bar represent those  
13 for which we have lineage determinations and from this, we  
14 can see that we have mostly been seeing B-Yamagata lineage  
15 viruses among the Bs.

16           Next, we will cover some genetic and antigenic  
17 characterization results. This first slide summarizes  
18 genetic characterization results for specimens submitted to  
19 CDC. The pie chart on the left provides an overview of the  
20 relative proportions of each virus type and subtype  
21 reported by the public health laboratories. The sets of  
22 charts on the left and the gray box summarize the results  
23 for each type - subtype or lineage of the genetic testing  
24 for each type or subtype or lineage just for the subset of  
25 specimens that were submitted to CDC for genetic testing.

1           Starting in the upper left, phylogenetic analysis  
2 of the HA genes for 779 A(H3N2) viruses revealed some  
3 genetic diversity with multiple clades and sub-clades co-  
4 circulating. The HA genes of most circulating viruses  
5 belong to Clades 3C.2a, but we also had some sub-clades  
6 3C.2a1 and 3C.3a.

7           In the upper right for (H1N1)pdm09, of 350  
8 specimens all belong to Clade 6B.1.

9           In the lower left, of 68 B Victoria lineage  
10 viruses, all HA genes belong to genetic Clade V1A, which is  
11 the same clade as the vaccine reference virus  
12 B/Brisbane/60/2008. However, a number of viruses had a 6  
13 nucleotide deletion encoding amino acids 162 and 163. These  
14 are the ones called V1A-2 delete or V1A-2Del in the HA.

15           In the lower right, phylogenetic analysis of 402  
16 influenza B Yamagata lineage viruses indicated that all  
17 belong to Clade Y3.

18           Antigenic characterization of the flu virus  
19 submitted to CDC. This covers viruses submitted from  
20 October 1 to the present. For A(H1N1)pdm09, all 268 viruses  
21 antigenically characterized using ferret post-infection  
22 antisera were antigenically similar to the reference 6B.1  
23 virus A/Michigan/45/2015-like, which is the H1N1 component  
24 of the 17-18 vaccine.

1           For A(H3N2), 364 of 371 or 98.1 percent were well  
2 inhibited by ferret antisera raised against  
3 A/Michigan/15/2014, a cell propagated A/Hong  
4 Kong/4801/2014-like reference virus representing the H3N2  
5 component of the 2017-18 vaccine.

6           As of February 9, 2018, 64.4 percent of viruses  
7 tested were well-inhibited by ferret antiserum raised  
8 against the egg-propagate A/Hong Kong/4801/2014 reference  
9 virus.

10           This is now antigenic characterization for the B  
11 viruses. For B/Victoria, 23 of 51 or 45 percent reacted  
12 poorly with ferret antisera raised against cell propagated  
13 B/Brisbane/60/2008 reference virus, which represents a B  
14 component in both quadrivalent and trivalent influenza  
15 vaccines for the 2017-18 season and these viruses had the  
16 V1A-2Del HA.

17           For B/Yamagata lineage, all 260 were  
18 antigenically similar to the cell propagated  
19 B/Phuket/3073/2013 reference virus, representing a B  
20 component of the quadrivalent influenza vaccines for the  
21 current 2017-18 season.

22           This is a discussion of ILI activity. It is a  
23 summary of data from ILINet, which is a network of  
24 providers who report weekly to CDC, the percent of visits

1 that were for influenza-like illness so not lab-confirmed  
2 influenza illness, but influenza-like illness.

3           This chart depicts the percent of visits for the  
4 current season in the red line with the triangles as well  
5 as for selected other seasons. For Week 7, the percent of  
6 visits that were for ILI declined by about 1 percent from  
7 7.4 percent, which was in the previous week, to 6.4 percent  
8 for Week 7. It is still, however, well above the national  
9 baseline, which is shown by the dotted line going  
10 horizontally across the graph and has been above baseline  
11 for 13 weeks as of Week 7.

12           This is a summary of laboratory-confirmed  
13 influenza associated hospitalization data for this season  
14 from FluSurv-NET, which is a population-based surveillance  
15 network for lab-confirmed influenza associated  
16 hospitalizations. These data are collected from 13 states  
17 and represent approximately 9 percent of the total US  
18 population. The data are cumulative. As the weeks go on and  
19 we have more hospitalizations on the X-axis, we would  
20 expect the lines to go up over the course of the season. On  
21 the Y-axis, we have the rate of hospitalizations per  
22 100,000 population.

23           Since October 1, 2017, a total of 21,279 lab  
24 confirmed flu hospitalizations were reported to this

1 network, translating into a cumulative overall rate of 74.5  
2 hospitalizations per 100,000 people in the United States.

3           The highest rate of hospitalizations is among  
4 those 65 years and older at 322.7 per 100,000, followed by  
5 those 50 through 64 years at 79.9 per 100,000 and youngest  
6 children ages 0 to 4 years at 52.6 per 100,000. During most  
7 seasons, adults 65 and older have the highest  
8 hospitalization rates usually followed by children 0 to 4  
9 years.

10           These rates in general are higher than the end-  
11 of-season rates for the 2014-2015 season. For that season,  
12 overall hospitalization was 64.2 per 100,000. For people 65  
13 and older were 308.8 per 100,000. The hospitalization rate  
14 for people 50 to 64 years for that season was 53.4 per  
15 100,000 and for 0 through 4 years were 57.3 per 100,000.

16           Next is pediatric mortality. Pediatric deaths and  
17 association with lab confirmed influenza have been  
18 reportable since 2004. As of Week 7 for the current season,  
19 a total of 97 deaths have thus far been reported. Of these,  
20 69 or 71 percent were associated with flu A and 27 or 28  
21 percent with influenza B. And the last one - we have no  
22 information on type or subtype. That was not reported.

23           This is the map that appears in FluView each week  
24 during the season, summarizing weekly flu activity  
25 estimates as reported by state and territorial

1 epidemiologists. This information describes the  
2 distribution and spread of influenza activity and is not an  
3 index of disease severity. We can see here from the color  
4 of the map - most of the map is brown - that widespread  
5 activity was still being reported throughout much of the  
6 country. More specifically, Puerto Rico and 48 states were  
7 still reporting widespread activity for Week 7.

8           Just a summary of surveillance. Influenzas  
9 A(H3N2) viruses have predominated during the season;  
10 however, influenza B activity is increasing. ILI activity  
11 dropped from 7.4 percent in Week 6 to 6.4 percent in Week  
12 7, but remains higher than peak activity observed in many  
13 past seasons and are the highest seen since 2009.

14           Final severity cannot be determined until the end  
15 of the season. But for adults, hospitalization rates and  
16 mortality could be similar to or exceed those seen during  
17 the 2014-2015 season.

18           The majority of circulating strains are similar  
19 to those contained in the 2017-2018 vaccine. The B/Victoria  
20 lineage viruses are the only viruses clearly showing drift,  
21 but - proportion or 1 percent of circulating viruses.

22           This is the beginning of the VE discussion. These  
23 are data from the US Flu VE Network, which were presented  
24 by Brendan Flannery at the ACIP meeting last week. I think  
25 a lot of folks are probably familiar with the general



1 methodology, but I will cover it briefly. This slide  
2 summarizes the sites. We have a total of five sites spread  
3 through the United States in Washington, Wisconsin,  
4 Michigan, Pennsylvania, and Texas.

5 Slide number 15 is an overview of methods.  
6 Enrollees are outpatients aged at least six months with  
7 acute respiratory illness and cough of less than or equal  
8 to seven days duration.

9 Dates of enrollment for this particular  
10 installment of the data are November 2, 2017 through  
11 February 3, 2018. The design of the test-negative case  
12 control design, which involves comparing vaccination odds  
13 among influenza RT-PCR positive cases and RT-PCR negative  
14 controls.

15 Vaccination status is defined as receipt of at  
16 least one dose of any 2017-2018 seasonal flu vaccine  
17 according to medical records, immunization registries,  
18 and/or self-report.

19 For analysis, VE is calculated as one minus the  
20 adjusted odds ratio times 100 percent. Adjustments made  
21 include study site, age, self-related general health  
22 status, race and/or Hispanic ethnicity, interval from onset  
23 to enrollment, and calendar time.

24 Slide Number 16 starts discussion of results.  
25 Total of 4562 were enrolled from November through February

1 3 at the five sites, 1712 specimens were RT-PCR positive,  
2 and 2850 were RT-PCR negative.

3 The chart at the bottom of the graph summarizes  
4 what was seen in terms of viruses. We see, not inconsistent  
5 with what we were seeing in the surveillance data, a  
6 predominance of the H3N2 virus is shown in red at just  
7 about two-thirds of the total. But we also have about 12  
8 percent of A/H1N1 pdm09.

9 By week on the X-axis, the number enrolled and  
10 also the percent of positive specimens, the number enrolled  
11 and whether they were flu positive or flu negative is shown  
12 by the gray and yellow bars. You can see that after about  
13 Week 49 so approximately mid-December, we have a steady  
14 increase in the proportion of specimens that were positive,  
15 the number of positives. And that has continued pretty much  
16 through the end of the period. You can see the bars at the  
17 last section there. For Week 5, we are a little shorter.  
18 But I should just mentioned that that is probably not  
19 complete data because the Week 5 data includes only  
20 patients who had complete laboratory testing at that point.  
21 We really do not have complete information on everyone for  
22 Week 5. That should not be interpreted as a decline.

23 Slide Number 18, beginning of the VE estimates.  
24 This is interim-adjusted vaccine effectiveness against  
25 medically attended flu by age group for all flu, all A or B

1 for 2017-2018 as of February 3. Overall adjusted VE was 36  
2 percent with a 95 percent confidence interval of 27 to 44  
3 percent. When we break these results down by age group, we  
4 have statistically significant VE for those six months  
5 through eight years of age at 59 percent and for those 18  
6 through 49 years of age at 33 percent.

7           We are going to begin to break these out a little  
8 bit by type and subtype. Unfortunately, when we do this, of  
9 course, we end up with wider confidence intervals because  
10 our sample size gets smaller. This first one is for H3N2,  
11 which was proportion-wise the most common type isolated.

12           Overall, VE for all ages is 25 percent.  
13 Confidence intervals are 13 to 36 percent. Here, for the  
14 H3N2s, we have statistically significant results only for  
15 the youngest age group, six months through eight years at  
16 51 percent. We do not have statistically significant VE for  
17 the others.

18           And for the next slide, Slide 20, this is for  
19 A/H1N1 and for all B's, both subtypes. No lineage  
20 distinguishing for the B's. It is all B's.

21           For A/H1N1 pdm09, overall VE is 67 percent and  
22 statistically significant. We actually have statistically  
23 significant VE across the age groups except for those 60  
24 and up. We have for 6 months through 17 years, 78 percent,

1 18 through 64, 51 percent, 65 and older, 34 percent and not  
2 statistically significant.

3           For influenza B overall for all age groups, 42  
4 percent and statistically significant, 6 months through 17,  
5 36 and statistically significant, 18 through 54, 50 and  
6 statistically significant, 65 and up, not statistically  
7 significant.

8           Summarize the VE interim results. Interim results  
9 for the 2017-2018 season through February 3 indicate  
10 vaccination reduced influenza medically attended illness by  
11 36 percent. Twenty-five percent and significant VE against  
12 H3N2 for all ages. Fifty-one percent and statistically  
13 significant for children aged 6 months through 8 years. No  
14 other age groups had statistically significant VE  
15 estimates. Sixty-seven percent, 54 to 76 percent, VE for  
16 A(H1N1)pdm09 and 42 percent, 25 to 56 percent, VE against  
17 B, mostly B/Yamagata, which was not in the IIV3.

18           Final VE results will be shared at the end of the  
19 season. Final VE will be used once available to calculate  
20 averted burden in terms of cases, hospitalizations, and  
21 deaths. Despite the VE results not being particularly high,  
22 just a reminder that vaccination even when VE is not  
23 optimal averts thousands of hospitalization each year.  
24 During 2014-2015, 47,000 approximately influenza  
25 hospitalizations were estimated to have been averted.

1           I just want to acknowledge all the contributors  
2 to the VE Network.

3           Lastly, very brief summary of the ACIP meeting,  
4 the majority of which focused on the discussion of LAIV.  
5 Data presented very briefly were from MedImmune, who  
6 described the US Pediatric Shedding and Immunogenicity  
7 Study data.

8           We also had a discussion of two CDC analyses, one  
9 of combined US patient-level data analysis for the 13-14  
10 through 15-16 seasons and the other is a systematic review  
11 and meta-analysis of published estimates of LAIV  
12 effectiveness in children.

13           After a fairly lengthy discussion of the data,  
14 the ACIP vote occurred and the language and summary that  
15 was approved states that for the 2018-2019 seasons,  
16 providers may administer any licensed, age-appropriate  
17 influenza vaccine including IIV, RIV, and LAIV. LAIV4 is an  
18 option for influenza vaccination for persons for whom it is  
19 otherwise appropriate.

20           I just want to thank team members who got these  
21 information together to present. That is all I have. I am  
22 happy to take questions.

23           DR. EDWARDS: Thank you, Lisa, for a very  
24 enlightening presentation.

1           Let me ask a quick question. It looks like that  
2 the majority of the B strains are Yamagata this year. Is  
3 that correct?

4           DR. GROHSKOPF: Yes.

5           DR. EDWARDS: Does it look like the B strains that  
6 are continuing to increase now are of that lineage as well?

7           DR. GROHSKOPF: As far as I know, that is correct.  
8 It has been pretty consistent just looking at the data on  
9 Slide 4, which was the public health lab data. It is always  
10 difficult to predict what is going to happen in the coming  
11 weeks. But those data for the B's have looked fairly  
12 consistent over the course of the season even when the  
13 overall B prevalence was lower, that relatively few  
14 Victoria's were present. Dr. Katz may have some additional  
15 comments on that.

16           DR. KATZ: Thanks Lisa. You are right. It is the  
17 B/Victoria's that are being very low throughout the season.  
18 We are not really seeing an upward trend at least at this  
19 point.

20           Hana.

21           DR. EL SAHLY: This is a question regarding the  
22 antigenic characterization of the H3N2 circulating ones.  
23 Are we still detecting this season the mutation and  
24 antigenic determinant site B with the T160K, I think is  
25 what it is called.

1 DR. KATZ: I will be talking about that in just a  
2 moment.

3 DR. EDWARDS: Any other questions of Lisa in terms  
4 of surveillance or VE?

5 DR. JANES: May I ask a question? Lisa, can you  
6 tell us what if anything is known about the  
7 generalizability of the genetic test results? I guess I am  
8 asking both in terms of the surveillance data and the VE  
9 distribution of genetic test results. On what basis is it  
10 determined that kinetic testing is done and what are the  
11 implications for generalizability?

12 DR. GROHSKOPF: I know a little bit about what is  
13 submitted for testing, but it might be better actually for  
14 Dr. Katz to respond to that.

15 DR. KATZ: Could you repeat the question?

16 DR. JANES: I am wondering about the  
17 generalizability of the genetic test result data. On what  
18 basis is it determined as a site level when the genetic  
19 testing is done and what can we best conclude about the  
20 generalizability of the genetic test result data?

21 DR. KATZ: The genetic testing is really done -  
22 CDC has set up three national reference public health labs  
23 that are national reference influenza centers. By region,  
24 the viruses from the public health laboratories are sent to  
25 one of these three national influenza reference centers.

1 There is one in California, one in Wisconsin, one in New  
2 York. They all use the CDC protocol and have been trained  
3 and have done proficiency testing. Then some samples also  
4 come to CDC.

5 All of the sites are testing in exactly the same  
6 manner. The viruses that are received come into the system  
7 through a process that we have called right sizing. We have  
8 gone to great lengths to get viruses that are  
9 representative of the regions, but also that equally  
10 represent the circulating viruses. We may over sample in  
11 the case of the B(Victoria) this year, but it is so that we  
12 can look for antigenic variation. We have calculated a  
13 certain threshold of viruses that we need to receive from  
14 each state or other site every two weeks. There is a very  
15 systematic process in which we sample the viruses and we  
16 determine the genetic characteristics.

17 Does that answer your question?

18 DR. JANES: Yes, thank you.

19 DR. BENNINK: Has there been any observed  
20 differences in the pathogenicity or something of the B  
21 deletion viruses?

22 DR. KATZ: Not that we have been able to detect.

23 DR. FRIEDLAND: Lisa, Len Friedland. Thank you for  
24 that overview. I am just wondering if you could comment if  
25 the database will have enough power at the end of the



1 season to report out on efficacy both by individual strain  
2 based on the type of vaccines that were given, also by age  
3 and by underlying comorbidities.

4 DR. GROHSKOPF: That is a good question. I think  
5 we always hope for that, but we really generally do not  
6 know until the end of the season.

7 DR. EDWARDS: Other questions? Thank you very  
8 much.

9 DR. GROHSKOPF: Thank you.

10 DR. EDWARDS: The next presentation will be the  
11 world surveillance and virus characterization by Jackie  
12 Katz. Jackie is the deputy director of the Influenza  
13 Division and also the director of the WHO Collaborating  
14 Center for Surveillance, Epidemiology and Control of  
15 Influenza for the National Center for Immunization and  
16 Respiratory Diseases from the Centers for Disease Control  
17 and Prevention.

18 **Agenda Item: World Surveillance/Virus**  
19 **Characterization**

20 DR. KATZ: What I am going to talk to you about  
21 this morning is the representation of the data that was  
22 analyzed last week in Geneva at the Vaccine Consultation  
23 Meeting. The WHO Collaborating Centers together with over  
24 140 national influenza centers, the essential regulatory  
25 laboratories and other specialized laboratories form the

1 basis of the Global Influenza Surveillance and Response  
2 System, which conducts year-round surveillance for  
3 influenza viruses.

4           Last week, the WHO Consultation reviewed all of  
5 the data provided by the collaborating centers, by the ERLS  
6 and selected national influenza centers and other  
7 specialists. The meeting was co-chaired by Kanta Subbarao  
8 and John McCauley. These are the rest of the advisors.  
9 Richard Webby from St. Jude is missing there. We had the 9  
10 advisors and 30 observers that also contributed to the  
11 discussion.

12           Starting with the global circulation pattern of  
13 influenza viruses in the last several months since  
14 September 2017, you can see the increase here at the end of  
15 2017 and the beginning of 2018. The orange color of the B  
16 influenza viruses and the multiple shades of blue are the  
17 influenza A viruses. It was quite a mixed season. There was  
18 H3N2, H1 and B's all co-circulating.

19           This is really demonstrated well here. You can  
20 see in the Northern Hemisphere in North America, influenza  
21 A and particularly H3N2 virus has predominated whereas in  
22 Europe and in the Middle East and Asia, it was more of a  
23 mixed season with in many cases, B appearing early, which  
24 is unusual and then having some H1 and other influenza A  
25 viruses coming in later. And then in other places,

1 particularly in the Middle East in the Indian subcontinent  
2 and parts of Asia, there was quite a bit of H1N1 activity.  
3 It was unusual that multiple influenza A and B viruses were  
4 circulating, but nevertheless, there was quite a lot of  
5 severe disease in many parts of the world.

6           This diverse season is reflected in the  
7 increasing number of samples that are brought in through  
8 the GISRS network. This figure shows the number of  
9 specimens processed each week. We are well over 100,000.  
10 You can see the black line that is 2017, which is higher  
11 than 2016 and higher again than 2015. The red is 2018. Each  
12 year the numbers of viruses are increasing. This also  
13 reflects the high level of activity globally in the last  
14 few months.

15           Many parts of the world contributed viruses for  
16 the review of genetic and antigenic analysis for the last  
17 six months since September. When broken down, the  
18 predominance was still influenza A shown in the blue color  
19 with about a third of the viruses being influenza B. And  
20 among the H1's, there was still more H3's that were  
21 evaluated in the system than H1's.

22           This represents the viruses that actually came  
23 into the collaborating centers and were evaluated  
24 antigenetically and genetically in the last three periods  
25 with the green being the timeframe since September. You can

1 see an increase in the B activity, also with H3, but not as  
2 high as in previous timeframes.

3 I will start with the characteristics of the H1N1  
4 pdm09 viruses. This is just a heat map of the circulation  
5 of viruses or the activity level of viruses. It reflects -  
6 the shading of red indicates the more widespread, the  
7 outbreaks reported in that geographic region. Quite a lot  
8 of activity in Northern Africa, parts of Europe, and in  
9 Asia.

10 This is what we call our meta-tree. I hope you  
11 can see better than I can. I can hardly see these. But in  
12 general, there are just a few points to show you. Every  
13 little dot is a node. It is a virus. It is color coded by  
14 the region of the world. You can see the blue here is North  
15 America and green is Europe. You can see most of the  
16 viruses are coming from this region. The pink reflects the  
17 Middle East and Western Asia region.

18 But essentially, all these viruses we are seeing  
19 with very few exceptions, remain in the genetic subgroup  
20 6B.1, which is demonstrated here. The vaccine virus for the  
21 2017-2018 season is the Michigan 45 virus shown at the base  
22 of this tree. And all of the recent viruses have an  
23 accumulation of a few key amino acids. They are shown down  
24 here if you can see them. Changes at residue 74, 295, and  
25 particularly this 164 change. And what H1N1 viruses appear

1 to be doing at the moment is they are accumulating a few  
2 key changes and it just sweeps through almost all of the  
3 circulating viruses, suggesting that there is some  
4 selective advantage to do that.

5           In addition, we are seeing the introduction of a  
6 change at 183 sporadically popping up in different regions  
7 particularly in some viruses here from North America. And  
8 then there is another group here, particularly viruses  
9 circulating in Europe that have a change at 120.

10           Turning the neuraminidase, there is really not a  
11 whole lot to remark upon here. Again, they are all in the  
12 6B.1 region. This is a smaller phylogenetic tree of the  
13 neuraminidase gene. And the viruses here, which are  
14 represented by their name are colored coded for the months  
15 of the year that they are isolated. You can see the oranges  
16 and pinks are the most recent December and January viruses.

17           All of the, again, of the most recent viruses  
18 have a substitution at 449D, but again that is not thought  
19 to be antigenically significant, but there is very limited  
20 data on that.

21           Turning now to the antigenic profile of these  
22 viruses and this is a complicated table. I will just walk  
23 you through how we do this. It is a hemagglutination  
24 inhibition table. We use selected reference viruses that

1 represent the different genetic groups that are currently  
2 circulating.

3           Across the top, we have ferret antisera that are  
4 raised to these viruses. Ferrets are infected, naturally  
5 infected with the virus. We are looking at a very strained  
6 specific antibody response that they produce.

7           Then we test across the top as our reference  
8 panel. And the corresponding homologous titer of the  
9 reference virus with its own sera is highlighted and  
10 underlined.

11           First of all, the top columns highlighted here in  
12 yellow are the antisera raised to the current Michigan 45  
13 reference virus, both the virus propagated exclusively in  
14 cells and one propagated in eggs. We look at the antisera  
15 raised to the vaccine virus and look at how well it can  
16 inhibit circulating viruses. Here, in this table, we have  
17 viruses from the US, from Canada, Oceania, Asia, and a few  
18 from Africa.

19           You can see that without exception in this case  
20 that all of these viruses are well inhibited at titers that  
21 are generally within two-fold of the homologous titer  
22 whether it is the antisera raised egg or to cell.

23           If we raise antisera to more recent viruses, this  
24 is the - never mind. This is not the right table.

1           We have also seen with more antisera raised to  
2 more recent viruses that - and these are viruses that  
3 contain the substitutions I was talking about that we see  
4 exactly the same profile. We are not seeing when we look at  
5 ferret reference antisera, we are not seeing any antigenic  
6 variation at all for the majority of circulating viruses.  
7 There are rare exceptions and they have unique genetic  
8 changes that we know are associated with low inhibition in  
9 this case.

10           We also use panels of human pool sera routinely.  
11 Over here on the far right are pooled sera from humans that  
12 received - these are adults who received the 2017-2018  
13 vaccine, which contained the Michigan 45. You can see the  
14 homologous titers to the Michigan viruses here in the order  
15 of 320 and 640. That is pretty much the same titer that we  
16 are seeing all the way down for all of these viruses,  
17 suggesting that the vaccine antibody is well inhibiting the  
18 majority of circulating viruses.

19           We can look at this in a different way and just  
20 visualize it using antigenic cartography from our  
21 colleagues at Cambridge University. And here, you can see  
22 the yellow dots represent viruses that have circulated in  
23 recent months. You can see this cloud around the Michigan  
24 45 2015 vaccine like virus. This cloud really is not

1 moving, indicating that we are still seeing antigenic  
2 similarity with this vaccine virus.

3           This is the summary of all results of all the  
4 data from the different collaborating centers. First of  
5 all, we are comparing how well the circulating viruses  
6 reacted with serum raised against the Michigan 45 virus  
7 grown in cells. You can see overall that 99 percent of  
8 viruses were antigenic similarly with very few viruses  
9 being antigenically low.

10           If we compare also with antisera raised against  
11 the egg propagated Michigan 45, we get the same result.  
12 Ninety-eight percent viruses are antigenically similar.

13           The last thing we do for our antigenic  
14 characterization of the seasonal viruses is look at how  
15 well panels of human sera from individuals that are being  
16 vaccinated with the current vaccines are covering the  
17 circulating viruses. This is just a snapshot of the  
18 different sera that were tested by different collaborating  
19 centers and essential regulatory labs. Each group probably  
20 only tested several panels, but not all of them. But in  
21 combination, all of these panels were tested from these  
22 different populations and included pediatric samples,  
23 samples from younger adults and older adults and elderly.

24           This is a summary of all of that data put  
25 together. Here, we are comparing - these are the panels



1 from adults in blue, the older adults, the 65 and older age  
2 group, and then the children in the pale purple color.

3           Here, we are comparing the response of the panels  
4 of sera, the geometric mean titers, to the cell propagated  
5 Michigan 45 2015 as reference because the majority of our  
6 circulating viruses that we use to test are also grown in  
7 cells and that is the most appropriate comparator.

8           You can see that by and large each of the panels  
9 has geometric mean titers to different circulating viruses  
10 that are not significantly different from the reference  
11 virus, the Michigan 45 vaccine like virus. That can be seen  
12 here in summary for all of the viruses tested.

13           In summary for the (H1N1)pdm09 viruses, the  
14 activity overall globally was higher than in the 2016-2017  
15 season. They were quite substantial outbreaks reported from  
16 Africa, Asia, the Middle East, and parts of Europe. And the  
17 vast majority of the HA gene sequenced belonged to the  
18 phylogenetic subclade 6B.1, which is what we have been  
19 seeing for the last couple of seasons with the addition of  
20 these three key substitutions in the HA and almost all of  
21 the viruses had these.

22           Almost all of the currently circulating viruses  
23 were antigenically similar to the reference vaccine viruses  
24 whether they were propagated in eggs or in cells. That is  
25 the Michigan 45 2015 vaccine virus. When we looked at human

1 sera post-vaccination panels, the geometric mean titers of  
2 these panels against the most recently circulating viruses  
3 tested were not significantly reduced compared with the  
4 cell propagated reference virus Michigan 45. That is an  
5 easy one.

6           Here comes H3N2. A little more complicated. There  
7 was quite a lot of H3N2 circulation in the Northern  
8 Hemisphere primarily this season, but also you can see some  
9 local to regional activity in many other parts of the  
10 world. And the intense widespread outbreak here in  
11 Australia really reflects the tail end of their Southern  
12 Hemisphere season, which went into October.

13           Again, this is a large mega-tree, as we call it.  
14 It is set out the same way. Each little dot is a virus. It  
15 is colored coded by the region of the world where the virus  
16 was obtained. Along here is the month of the year starting  
17 in September through January. Each bar represents a virus.  
18 You can see a heavy load of blue here, which represents the  
19 North America season. There still continues to be extensive  
20 genetic diversity in H3N2 viruses.

21           The WHO collaborating centers felt that in order  
22 to be able to communicate and talk about these viruses just  
23 amongst ourselves, we really needed to start coming up with  
24 a different nomenclature. This is identified here.  
25 Essentially, we still have the 3C.2a viruses and the 3C.2a1

1 viruses as well as a very small number of 3C.3a viruses  
2 still circulating. You will recall that these viruses are  
3 like the Switzerland vaccine virus from several years ago.  
4 They are still hanging on, but at low levels.

5           Shown in red is our current Hong Kong/4801/2014  
6 vaccine virus. And the Singapore 2016 - I am not going to  
7 give its full name. It is just too long, which was  
8 recommended for the 2018 Southern Hemisphere season and now  
9 our 2018-2019 Northern Hemisphere season. It sits at the  
10 base of the 2A.1 viruses.

11           What we saw the majority of in the Northern  
12 Hemisphere were these viruses that we now refer to as 2A.2.  
13 They really took off and you will see in the next slides  
14 how they predominated.

15           In addition, the 2A viruses now also include the  
16 2A.3 and 2A.4 genetic subclades. The 2A.4 was the group of  
17 viruses that were quite predominant in the Southern  
18 Hemisphere, but now appear to be dying out. There is some  
19 low level circulation of the 2A.3s.

20           Then the 2A.1 viruses are split into 2A.1a and  
21 then what is predominating mostly now is the 2A.1b and  
22 these are further divided into two groups based on changes  
23 that they have, which affect a glycosylation site at 135.

24           This is just shown a little more clearly I hope  
25 in this smaller tree, which was provided by the

1 collaborating center from Melbourne. This now defines what  
2 each of these genetics groups are. This is the 3C.2a4  
3 group, the minor group that has really not circulated  
4 elsewhere. It was quite prevalent in Oceania and  
5 particularly Australia.

6           The 2A.3 group has substitutions at 121 and 144.  
7 And then the major group that we are seeing in North  
8 America and other parts of the world are these 2A.2 viruses  
9 and they have three key substitutions of 131K, 142K, and  
10 261Q.

11           The 2A.1 viruses are - again, the Singapore virus  
12 is at the base of these 2A.1 viruses. These have evolved  
13 into the 2A.1a group and then the 2A.1b group, which have  
14 substitutions at 92, 311, and 62. And then a predominant  
15 sequence change at 135 and it is either changed to the N or  
16 the K, both of those affect glycosylation.

17           Something else interesting has happened with  
18 these viruses, particularly the ones that have predominated  
19 in North America and that is that they are actually re-  
20 assortants. Sometime we have traced it back to probably May  
21 or June of 2017. The viruses that have the HA of the 2A.2  
22 viruses re-assorted on the backbone of viruses from the  
23 2A.1 group. That means they have two genes, the  
24 hemagglutinin and the PB1 gene from this group. But they  
25 have the rest of their genes, including the neuraminidase

1 from these 2A.1a viruses. One might speculate that this has  
2 somehow created a quite fit virus because it is really  
3 predominated in the US.

4           While we saw these 2A.2 viruses last season, they  
5 were not re-assortants. There is actually another minor  
6 group down here that has yet another neuraminidase. This is  
7 quite an interesting observation that we are tracking  
8 closely.

9           How are these viruses circulating? Shown here in  
10 these pie charts is - this is all genetic data available in  
11 GISAID for this period. We have color coded the key genetic  
12 subgroups here. There are a few to focus on. The 2A.2 in  
13 this hot pink and the 2A.1b viruses in the red and the  
14 yellow in particular. You can see for North America - about  
15 83 percent of the viruses circulating were of the 2A.2  
16 group. We did see a smaller proportion of the 2A.1 viruses  
17 and the 1A.b viruses. But overwhelming, the 2A.2's took  
18 over. That was also seen with much smaller numbers in  
19 Central South American. In Europe, it was a little more  
20 diverse. They had more of the 2A.1b viruses with the  
21 substitutions at 135. But at this time, we believe the  
22 2A.2's are increasing there.

23           Oceania. Again, this is the tail end of their  
24 Southern Hemisphere season. As I mentioned, they had a lot

1 of these 2A.4 viruses. They are starting to see some more  
2 2A.2 viruses.

3 Asia interestingly enough is quite mixed. We are  
4 seeing increasing proportions of 2A.2. Over half of their  
5 viruses were the 2A.1b viruses.

6 Just to highlight. Since September, this is the  
7 proportion of the different 3C clades. You can see how the  
8 2A.2 viruses have really dominated globally this season.

9 Here are antigenic characteristics of the H3N2  
10 viruses. This is hemagglutination inhibition assay. Again,  
11 it is set up the same way as the previous table I showed  
12 you. Again, we have our reference viruses here and the  
13 corresponding ferret antisera across the top. Shown in blue  
14 are the antisera raised to Hong Kong/4801-like virus. This  
15 is a surrogate virus because we cannot actually use the  
16 cell propagated Hong Kong/4801-like virus in HI assays.  
17 This is something I have probably mentioned several times.  
18 An additional challenge of the H3N2 viruses in addition to  
19 their genetic diversity is that all of the 2A viruses have  
20 very poor ability to bind red blood cells. Roughly about 50  
21 percent of the viruses cannot be assessed by the  
22 hemagglutination inhibition assay. In fact, a number of  
23 labs, including our own, use the Michigan 15 as a Hong  
24 Kong/4801-like virus.

1           If we look at circulating viruses and see how  
2 well the antisera 2 Michigan 15 cell propagated virus, the  
3 Hong Kong/4801-like virus, how well this antisera reacts,  
4 we see that in general we get pretty good coverage of  
5 circulating viruses. There are some low reactors down here  
6 at the bottom.

7           But when we look the same at antisera raised to  
8 the egg propagated Hong Kong/4801, this has a homologous  
9 titer of 640. We can see that many of the viruses reacting  
10 at titers that are eight-fold or more low compared to that  
11 homologous titer.

12           We also looked at other emerging 3C.2a viruses in  
13 this HI table. These are represented by these Brisbane  
14 viruses. We have a pair that is grown in eggs and cells and  
15 then another virus, which is egg grown. When we grow the  
16 virus in eggs, we are looking for a representative virus  
17 that can - where its antisera can cover the majority of  
18 circulating viruses. Since these viruses represent the 2A.2  
19 group that is predominating, we are looking for a good  
20 candidate in this group. But we really did not find one. It  
21 is shown as an example here.

22           You can see if we just look first at the cell  
23 propagating - antisera rates of the cell propagated  
24 Brisbane 318 virus here, you can see that it does not  
25 really cover. It covers some viruses well in the middle

1 right here. In fact, these are viruses in the same genetic  
2 group. Overall, what we were seeing is although we could  
3 raise antisera to these viruses, they reacted best with  
4 their own genetic group and they did not cover all of the  
5 circulating genetic groups that we were seeing as well as  
6 we would like.

7           If we turn now to the Singapore viruses and these  
8 are shown in yellow, you can see we have here - this is the  
9 Singapore cell propagated virus with - it is very hard to  
10 see the homologous titer. It is a titer of 160 here. You  
11 can see when we compare with the circulating viruses that  
12 most of the viruses are well inhibited at titers that are  
13 similar or within four-fold of this homologous titer.

14           Even when we raise antisera to the egg propagated  
15 Singapore 2016 virus, the same is true. There is a titer of  
16 640 and most of these viruses are reacting at titers that  
17 are within four-fold of that homologous titer.

18           Depicting visually using antigenic cartography,  
19 we can see that most of the circulating viruses since  
20 August of last year are clustering around the Singapore  
21 2016 reference virus.

22           Because of the challenges we have with H3N2  
23 viruses and testing them in hemagglutination inhibition  
24 assay, all of the collaborating centers are now also doing  
25 virus neutralization tests. And here, this is an example



1 from the collaborating center at CDC. The table is set up  
2 essentially the same way. You can see that antisera raised  
3 to the Hong Kong/4801-like virus cell propagated virus  
4 generally react well with the circulating virus. There is  
5 an exception down here. It is a 3C.3a virus and that is  
6 where we are seeing our antigenetically variant viruses.  
7 About half of the ones we detected at CDC fell into this  
8 genetic group. But this is an older genetic group and it is  
9 not circulating widely anymore.

10           However, if we raised antisera to the egg  
11 propagated Hong Kong/4801 as we saw in the HI and even more  
12 dramatically in virus neutralization assays, we see that  
13 the majority of viruses are not well inhibited by antisera  
14 raised to the propagated Hong Kong/4801.

15           Here is another example. This antisera raised to  
16 Wisconsin/19. That is another 2A.2 virus representing that  
17 emerging and predominating genetic group that we saw in  
18 North America. We raised antisera. It has a very high  
19 titer. While this antisera again well inhibited viruses  
20 within its own genetic group, the titers are viruses that  
21 fell into the 3C.2a1 groups were much lower, suggesting  
22 that as we saw for the HI, this group does not - it covers  
23 its own group very well, but it does not - antisera raised  
24 to this virus does not well inhibit all of the different  
25 genetic groups we are seeing.

1           In contrast, if we look at antisera raised to the  
2 Singapore 2016, you can see that it well inhibits the  
3 majority of viruses. This is also true for the egg  
4 propagated Singapore for antisera raised against it.

5           In summary, the data that was provided by the  
6 different collaborating centers is summarized here. If we  
7 look at antisera raised or we compare the circulating  
8 viruses to cell propagated Hong Kong/4801-like reference  
9 viruses, we are not seeing any antigenic drift. Ninety-one  
10 percent of these viruses are antigenetically similar to the  
11 Hong Kong strain. This is also true if we do virus  
12 neutralization assays with about 87 percent of the viruses  
13 being antigenetically similar to cell propagated Hong  
14 Kong/4801.

15           However, if we compare the reactivity to egg  
16 propagated Hong Kong/4801 and this is by the  
17 hemagglutination inhibition assay, we can see that only 44  
18 percent of the viruses are well inhibited by antisera to  
19 the egg propagated 4801 and a much higher proportion, 79  
20 percent, are well inhibited with the antisera raised to egg  
21 propagated Singapore.

22           I should have mentioned that - I do not have it  
23 on this slide, but if we compared the viruses against cell  
24 propagated Singapore, 95 percent of the viruses would be  
25 antigenetically-like Singapore. The Singapore antisera

1 cover the majority of viruses well also, the cell  
2 propagated Singapore and also the egg propagated Singapore.

3           When we turn to virus neutralization assays, the  
4 proportions are a little lower, but we see the same trend.  
5 Only 33 percent of the viruses tested were well inhibited  
6 by the Hong Kong/4801-like egg propagated antisera, but 55  
7 percent were well inhibited by the egg propagated Singapore  
8 reference virus antisera.

9           Turning to the human serology, this is set up the  
10 same way. It is a compilation of all the data from the  
11 testing labs. Again, we have the color coding of the older  
12 adults in children sera shown in these different panels and  
13 then across the bottom all the test viruses used. In this  
14 first figure, I am showing you the comparison of the  
15 geometric mean titers against all the tested circulating  
16 viruses with egg propagated Hong Kong/4801. In many cases,  
17 when we look at the cell propagated viruses, they are  
18 always showing quite a bit of reduction. This red line is a  
19 50 percent reduction line and anything below that is  
20 considered to be significant.

21           However, if we look at the now comparing cell  
22 with cell because most of the circulating viruses are grown  
23 in cells. Here, we are comparing the reference Hong  
24 Kong/4801-like vaccine viruses, but the reference virus  
25 grown in cells and various cell propagated viruses. With

1 this one exception, which is a 3C.2a virus and again not  
2 circulating to any great extent at all, we can see that the  
3 majority of the viruses are still well inhibited by  
4 antisera from vaccinated individuals when we compare  
5 against the cell propagated virus, again suggesting that  
6 there has not been a lot of antigenic drift in the  
7 circulating viruses.

8           This is just shown in a different way. This is a  
9 neutralization assay from CDC, showing multiple panels. You  
10 can see again. If you just cast your eye at the dotted red  
11 line, you can see that the majority of the geometric mean  
12 titers are above that red line suggesting that there is no  
13 significant reduction compared with the response to the  
14 cell propagated reference virus Hong Kong/4801.

15           In summary for the H3N2 viruses, H3N2 did  
16 predominate in several countries and some countries in  
17 North America and particularly the US and Canada had severe  
18 epidemics due to H3N2. The majority of the H3N2 viruses are  
19 still falling within the 3C.2a clade with very few 3C.3a  
20 viruses detected. There were multiple genetic subclades  
21 circulating, which have been given a new nomenclature. In  
22 the Americas and increasingly elsewhere, the 2A.2 viruses  
23 were predominating.

24           Ferret antisera raised against cell propagated  
25 Hong Kong/4801-like virus or the Singapore 2016 virus well

1 inhibited the majority of viruses tested in the HI and  
2 virus neutralization assays. However, as we know, egg  
3 propagation introduces additional changes that affect  
4 antigenicity and this is particularly problematic for  
5 recent H3N2 viruses.

6           When we looked at how well antisera raised egg  
7 propagated Hong Kong/4801 virus inhibited these circulating  
8 viruses, we found that it was generally poor with 56  
9 percent in the HI and 73 percent in the virus  
10 neutralization assays.

11           Ferret antisera produced against several other  
12 egg propagated viruses belonging to the different clades  
13 also pull the inhibited recently circulating H3N2 viruses.  
14 Amongst the CCs, we had multiple egg propagated viruses  
15 representing different genetic groups, but essentially none  
16 of them looked any better than Singapore and by and large  
17 they all looked much worse. However, ferret antisera raised  
18 against egg propagated Singapore well inhibited the  
19 majority of viruses tested.

20           In human serology studies, the post vaccination  
21 geometric mean titers against the representative cell  
22 culture propagated viruses were not significantly reduced  
23 when we compare to the cell propagated Hong Kong/4801-like  
24 virus.

1           Turning to influenza B viruses, there was quite a  
2 lot of variable B activity in different parts of the world.  
3 This pie chart down here really demonstrates the dominance  
4 of the B/Yamagata lineage. This is the Y3 clade of the  
5 B/Yamagata shown in blue. You can see that it is  
6 predominated in all regions. The orange and yellow are the  
7 B/Victoria lineage viruses.

8           We will talk about the B/Yamagata lineage viruses  
9 first. This is another large tree. Essentially, there is  
10 not a lot of genetic diversity happening. All of the  
11 viruses sequenced belong to the clade Y3. Most of them had  
12 the substitution of 252 and 173Q. That is that new emerging  
13 group. The current vaccine virus is the Phuket/3073 and it  
14 is down here at the base of this tree.

15           Apart from some sporadic introductions of  
16 changes, there is really not a lot of genetic diversity we  
17 are seeing in Yamagata. That is also true for the  
18 neuraminidase gene. There is an emerging group here. These  
19 are most recent viruses that have a couple of  
20 substitutions, but again it is not anything that we are too  
21 concerned about.

22           If we look at the hemagglutination inhibition  
23 antigenic characterization data, you can see highlighted in  
24 yellow here are antisera raised to the reference vaccine

1 viruses, the Phuket/3073 so antisera to the cell propagated  
2 and the egg propagated.

3           If we look at antisera raised to the cell  
4 propagated, we can see that all of the circulating viruses  
5 tested here are well inhibited by these antisera. By and  
6 large, we see a few more four-fold reductions, but that is  
7 also true for antisera raised to the egg propagated  
8 Phuket/3073. Antigenically, there is really nothing  
9 happening with these B/Yamagata viruses and that is  
10 demonstrated here, showing in yellow the most recent  
11 viruses from the last several months. You can see that they  
12 are still grouped around the Phuket/3073/2013 cell  
13 propagated reference virus.

14           And the summary from all of the collaborating  
15 centers is that overall over a thousand viruses were tested  
16 and 97 percent of them were similar to the Phuket/3073 cell  
17 propagated reference virus. And 96 percent of them were  
18 similar to the egg propagated Phuket reference virus.

19           If we looked with human serology and again this  
20 is a compilation of all of the data, this is in different  
21 color coding this time of older adults and children. You  
22 can see that antisera raised - so the geometric mean titers  
23 of these panels when compared to the reference Phuket cell  
24 propagated virus, you can see that there is no reduction in  
25 geometric mean titer.

1           For the B/Victoria lineage viruses, these have  
2 become a little more exciting. When I talked to you in  
3 October, I mentioned the emergence of B/Victoria deletion  
4 variants. These were seen quite a bit at the end of our  
5 season last year in the US sporadically in some other  
6 regions in Central America and in only one region in  
7 Europe. Fast forward through to the beginning of this year  
8 and we now see that these viruses are in quite a number.  
9 They have spread to several Central and South American  
10 countries. We see them throughout North America. And most  
11 notably, there are quite a number of European countries now  
12 reporting their detection.

13           In addition to a double deletion, there has also  
14 been independent emergence of a virus that has three amino  
15 acid deletions. The two amino acid deletion as Dr.  
16 Grohskopf mentioned earlier is at residues 162 and 163.  
17 These triple deletions have 162, 163, and 164 deleted. The  
18 virus is clearly looking for something somewhere to move  
19 to. We first saw these viruses in Hong Kong. We have now  
20 seen a few of them in China, but they are still at very low  
21 numbers whereas the circulation of the double deletions has  
22 increased globally particularly in the Northern hemisphere  
23 this season. This is shown here.

24           This is B/Victoria viruses now. You can see the  
25 darker orange represents the V1A so the regular



1 B/Brisbane/60-like viruses. The lighter yellow color is the  
2 double deletion virus and then in red is the triple  
3 deletion, which is still only seen in very small numbers in  
4 Asia, but you can see in North America, Central South  
5 America and Europe. Although the circulation of B/Victoria  
6 viruses has been very low. It is only at about 9 percent  
7 globally all B/Victoria. And B/Yamagata is at 90 percent.  
8 Nevertheless of the B/Victoria viruses that have been  
9 characterized, over half of them in Europe and North  
10 America and more than half in Central South America, over  
11 three-quarters, is this double deletion variant.

12           This is shown on this phylogenetic tree. These  
13 are all of the double deletion variant viruses. The  
14 Colorado virus is shown in here. The most recent viruses  
15 also have a substitution at 192 and 180 and then the double  
16 deletion.

17           Independently, there are the triple deletion  
18 viruses down here from Asia. And then there is one more  
19 virus of concern. This is a group that is still in very low  
20 numbers, but it has a substitution at 165 and 221.  
21 Antigenically, we are seeing some antigenic change here.  
22 There is a Kazakhstan virus that we have at CDC that  
23 represents this group. There have been a handful of viruses  
24 identified in Asia. This is one we are watching closely.  
25 But at this time, it is not circulating widely.

1           This is just showing the neuraminidase tree. The  
2 neuraminidase group is out in the same. These are the  
3 changes based on the HA.

4           Antigenically, there is quite a lot going on  
5 here. This shown in yellow over here is the antisera raised  
6 to cell propagated or egg propagated Brisbane/60. That is  
7 our reference vaccine virus here. You can see that a  
8 proportion of viruses are still well inhibited, but all of  
9 the viruses highlighted here in the green - these are all  
10 double deletion viruses. They are not well inhibited by  
11 particularly antisera raised to cell propagated  
12 Brisbane/60. However, if we raise antisera to these viruses  
13 and the Colorado/6 is a representative of this group then  
14 antisera raised to these viruses well inhibits these double  
15 deletion viruses.

16           We have a small group of triple deletion viruses.  
17 And these are represented here by the Hong Kong/286 virus.  
18 You can see that antisera raised to these viruses only  
19 really well inhibit their own genetic group. This is also  
20 true of viruses, which had this change at K165N represented  
21 by viruses from Kazakhstan. And again, you can see antisera  
22 raised to these viruses do not well inhibit the majority of  
23 circulating viruses. We have several distinct antigenic  
24 variants emerging here in the B/Victoria lineage. The most  
25 predominant and the most widespread at this time are the

1 double deletion variant viruses represented by the  
2 Colorado/6/2017 virus. That is the main reason that this  
3 virus was selected as the new vaccine candidate because it  
4 represented the majority of the antigenic variants that are  
5 circulating and spreading.

6           This just refers to the overall characteristics  
7 of the circulating viruses when compared with the cell  
8 propagated Brisbane/60. Still a majority are well inhibited  
9 by an increasing proportion. This is really dependent on  
10 the way were viruses were isolated. You can see 40 to 50  
11 percent of the viruses that were seen in North America and  
12 tested by CDC or in Europe and tested by the CRIC are  
13 antigenically variant. They represent the double deletion  
14 variant viruses.

15           This just represents the same information, but  
16 now with reference to the egg propagated. Now, we are  
17 seeing 50 percent of the viruses are poorly inhibited by  
18 the Brisbane/60 egg propagated antisera.

19           If we look at the human serology and this was  
20 most notable with the pediatric sera from the US. This is  
21 an HI looking at the geometric mean titers of these  
22 different panels compared with a number of different  
23 viruses. And, again, because we are testing cell propagated  
24 viruses, we are comparing to the cell propagated  
25 Brisbane/60, which is set here at 100 percent. You can see

1 other more recent viruses that are Brisbane-like are not  
2 significantly reduced. But each of the antigenic variants  
3 and in particular the double deletion variant virus that I  
4 mentioned are all substantially reduced when we look at  
5 pediatric populations, suggesting that antibody being  
6 raised to the Brisbane/60 vaccine virus is not covering  
7 these newly emerging antigenic variants. As I said, it is  
8 most pronounced in the pediatric group, but there are some  
9 instances in the adults and older adults where we also see  
10 reduced titers to the double deletion variant.

11           In summary, both lineages co-circulated, but  
12 B/Yamagata vastly predominated the season globally. The  
13 B/Yamagata lineage viruses are still all genetically clade  
14 three. There is very little genetic diversity. There is no  
15 antigenic variability that we have detected. Either using  
16 ferret reference antisera or human post-vaccination sera.

17           For the B/Victoria viruses, the HA of all of the  
18 viruses do belong to the 1A. However, we are seeing an  
19 increasing number and geographic spread of the double  
20 deletion variant viruses and we are giving them the name  
21 1A.1. You may see that in future WHO and FluView  
22 communications.

23           Although many recent circulating viruses were  
24 still well inhibited by ferret antisera raised against  
25 vaccine reference viruses such as the Brisbane/60 and the

1 Texas/2/2013, an increasing proportion of viruses  
2 particularly seen in the US and Europe were not well  
3 inhibited. And the majority of these belonged - had two  
4 deletions or three deletions in their HA.

5           However, ferret antisera raised against a  
6 representative of this two deletion virus group, the  
7 B/Colorado/6/2017 well inhibited viruses within this new  
8 emerging genetic group.

9           Post-vaccination geometric mean HI titers of  
10 pediatric sera were significantly reduced against most  
11 viruses with the HA deletions compared to HI titers against  
12 the reference B/Brisbane/60 virus.

13           Again, just reiterating the recommendations we  
14 heard earlier this morning. For the quadrivalent influenza,  
15 it is recommended an A/Michigan/45/2015 (H1N1)pdm09-like  
16 virus be used. For H3N2, the Singapore/INFIMH-16-0019/2016-  
17 like virus. For the B/Victoria lineage, a new virus is  
18 recommended, the B/Colorado/6/2017-like virus. And for the  
19 second B component in the quadrivalent vaccine, it is the  
20 B/Yamagata lineage, the B/Phuket/3073/2013.

21           For trivalent vaccines, the first three viruses.  
22 We selected to include the B/Victoria lineage virus largely  
23 because we felt that there had been extensive circulation  
24 of B/Yamagata. There has been extensive vaccination with  
25 both B/Yamagata and B/Victoria lineages in recent years.

1 And the Colorado/6 represented a very distinct antigenic  
2 variant, antigenically drifted virus. It was felt that it  
3 was important to include this in the trivalent vaccine so  
4 that populations and particularly children who might be  
5 receiving a trivalent vaccine in countries where only  
6 trivalent vaccines are used would have the opportunity to  
7 get primed and immunized against this new antigenically  
8 drifted virus.

9 I will just acknowledge all of the collaborating  
10 centers and the GISRS, including all of the national  
11 influenza centers that really contribute all of the viruses  
12 that we characterize and the collaboration center.  
13 University of Cambridge partners to antigenic  
14 characterization, the essential regulatory labs that are  
15 also part of this work and our US partners, the APHL and  
16 our colleagues at DoD.

17 In particular in the last couple of VCMs and  
18 particularly this season, we have been - the collaborating  
19 centers have been sharing their data, the genetic and  
20 antigenic data, with two forecasting fitness partners in  
21 Europe and in the US. They are using mathematical models to  
22 help us look at the viruses in a different way, look at the  
23 trajectories of their frequencies and they offer  
24 forecasting although they recognize that this is as  
25 challenging and as limited as the data that you have. But

1 nevertheless, it is a very useful addition to our process.  
2 And, of course, last but not least, all of my colleagues at  
3 the Influenza Division at CDC.

4 I will take questions. Thank you.

5 DR. EDWARDS: Thank you. In your usual excellent  
6 way, you have really given us an enormous amount of  
7 information. Questions?

8 DR. EL SAHLY: Thank you again for the wonderful  
9 presentation. Given that we were asked to make  
10 recommendations to include Colorado strain when it is a  
11 minor component of the circulation US and worldwide, do we  
12 know - and the Yamagata seems to have predominated now two  
13 or three years back to back. Do we know the degree of  
14 across neutralization when someone or ferret are immunized  
15 with the Victoria-like or the Colorado specifically against  
16 the Yamagata, which seems not to be changing much?

17 DR. KATZ: With respect to the Yamagata, the  
18 ferret antisera from ferrets infected, would that be  
19 Colorado 2016 virus or is it 2017 virus - it does not even  
20 cross react with viruses within the B/Victoria lineage.  
21 Although we do not routinely test against the B/Yamagata,  
22 we would expect it would not.

23 However, we have seen in panels of human sera  
24 that individuals that were vaccinated with B/Yamagata or  
25 B/Victoria do show cross reactivity. This is generally

1 older adults and adults. We are not seeing it in kids. We  
2 are seeing some cross reactivity there. We always see some  
3 cross reactivity, for example, if individuals received a  
4 trivalent vaccine with B/Victoria in it, there would be  
5 some cross reactivity against B/Yamagata because these  
6 individuals have probably experienced B/Yamagata in their  
7 lifetime through inflectional prior vaccination.

8           What we do not know yet because we have only just  
9 recommended the B/Colorado virus is in humans how well will  
10 it cover against B/Yamagata. We do not know that yet.

11           DR. EDWARDS: Jackie, do you have concern that the  
12 Singapore strain is going to cover the emerging H3N2  
13 strains that we are seeing here in the US?

14           DR. KATZ: Yes. In fact, it does cover them very  
15 well. The majority of the viruses that we tested at CDC  
16 were that emerging 3C.2a2 group. And the Singapore virus  
17 covered those very well. In general, that is why we went  
18 with Singapore because - and again perhaps one reason that  
19 this virus does work well is that it is at the base of the  
20 tree. It is not at the tip of the tree where things are  
21 changing. It is a more conservative selection, but it is  
22 able to cover viruses that are emerging with different  
23 changes very well. The opposite is not true. If you select  
24 something at the tip of that tree and raise antisera to it



1 then it covers its own group. Great. It does not cover  
2 everything else very well.

3 DR. BENNINK: Has the ACIP ever recommended or  
4 strongly recommended that the youngest of children only get  
5 the quadrivalent?

6 DR. KATZ: Yes. I do not know if it is a  
7 recommendation, but QIV is used in children now in the  
8 United States.

9 DR. BENNINK: It is never the trivalent then?

10 DR. KATZ: Not in the US. In other countries, it  
11 is still trivalent I believe.

12 DR. GROHSKOPF: This is Lisa. I am still on if  
13 that is helpful. Currently, for the very youngest children,  
14 those age groups - what Jackie said is correct. Most of  
15 what is available is quad at this point particularly if you  
16 are talking about 6 months through 35 months. The vaccines  
17 that are licensed for that age group are only quad  
18 currently in the US. But there has never been a policy  
19 preference expressed by ACIP.

20 DR. EDWARDS: Thanks Lisa. Arnold and then Pam.

21 DR. MONTTO: Two questions. First of all, in the  
22 Singapore strain that is recommended, what is the situation  
23 at the 160 site? Is this truly cell or is it still egg? And  
24 then the neuraminidase is the other issue.

1 DR. KATZ: Most of the cell propagated viruses -  
2 in the 3C.2a group, they have this -- when they are grown  
3 in eggs, they lose the glycosylation site at 158 to 160.  
4 That is one of the egg adaptations we see. If we passage  
5 repeatedly in cell culture, we also see it. If we do a  
6 limited passage in cells, which is what we use here in our  
7 tests, it retains the K160. It does look like what is in  
8 humans. In the vaccine, it is egg propagated. It is egg  
9 like.

10 DR. MONTO: How much have you looked at  
11 distribution and its early times, I know, of the  
12 neuraminidase variants in parts of the country? Because  
13 there seems to be some differences in the US in terms of  
14 both transmission, which is independent of vaccine  
15 effectiveness.

16 DR. KATZ: Are you talking about the re-assortment  
17 -- I believe over 90 percent of those 2A.2 viruses  
18 circulating globally and that would include in the US are  
19 that re-assortment. They have the 2A.1 neuraminidase.

20 DR. EDWARDS: Pam, did you have a question? Other  
21 questions? Thank you, Jackie.

22 The next presentation will be the Department of  
23 Defense, Vaccine Effectiveness Report. It will be presented  
24 by Angelia Cost, senior managing epidemiologist for the

1 Armed Forces Health Surveillance Branch, the Public Health  
2 Division of the Defense Health Agency.

3 **Agenda Item: DoD Vaccine Effectiveness Report**

4 DR. COST: Good afternoon. I am Angelia Cost and I  
5 will be presenting on DoD influenza vaccine effectiveness  
6 and strain circulation.

7 Just our disclaimer. The views presented in this  
8 slide set are not the official policy or position of the  
9 Department of Defense or US Government.

10 To give you an overview of our talk today, I will  
11 be presenting on the 2017-18 influenza season from our  
12 influenza surveillance networks. Included here will be  
13 surveillance data from our partners in North America, South  
14 America, Africa, Asia, and Europe. In addition, the  
15 surveillance data will also be presented on military  
16 recruits. I will be presenting a brief summary of  
17 phylogenetic analyses developed by the US Air Force School  
18 of Aerospace Medicine, which I will refer to as USAFSAM for  
19 the remainder of the presentation.

20 In addition, I will also be presenting three mid-  
21 year assessments of vaccine effectiveness within DoD  
22 populations developed by the Naval Health Research Center,  
23 USAFSAM, and the Armed Forces Health Surveillance Branch.

24 Our influenza surveillance program extends to  
25 over 400 locations in 30 different countries through the

1 work of DoD laboratories across the world. In addition to  
2 monitoring US personnel, our partners have relationships  
3 with foreign governments, including ministries of health,  
4 ministries of defense, and academic institutions, which  
5 provide disease surveillance data on local national  
6 populations.

7           Our laboratories have extensive characterization  
8 capabilities including cell culture, PCR and sequencing  
9 capabilities and on average, about 30,000 respiratory  
10 samples are collected and analyzed each year within our  
11 surveillance network.

12           This just shows you a graph or a map of the GEIS-  
13 supported influenza locations. The blue dots here indicate  
14 countries where GEIS has influenza surveillance. And the  
15 stars indicate core laboratory partners.

16           For the next few slides, I am going to be going  
17 over the strain circulation data that we have from  
18 different regions within the world. All of these will look  
19 similar with the MMWR week along the X-axis and the  
20 percentage of positive samples along the secondary Y-axis  
21 on the right. The number of specimens submitted along the  
22 primary Y-axis on the left hand side.

23           Three years' worth of data will be presented in  
24 these graphs, starting with week 40 of 2015 to the most

1 recent data for 2018. The different colors of the bars  
2 indicate the different influenza subtypes.

3 Military recruits are depicted here and they are  
4 a particularly vulnerable population within DoD, vulnerable  
5 for respiratory infections due to factors such as crowded  
6 living conditions and stressful work environments. They are  
7 actually a mixing of individuals from throughout the US  
8 that come together in these recruit settings.

9 Historically, up to 20 percent of recruit classes  
10 might be hospitalized for respiratory infection during the  
11 two months of their training. In addition, recruits are  
12 highly vaccinated populations. Essentially almost 100  
13 percent of the recruits are immunized within the first few  
14 days of arriving at recruit training. Surveillance on them  
15 gives us information on what viruses might be evading  
16 current vaccines.

17 These data come from eight recruit training sites  
18 throughout the United States. So far, recruits have  
19 experience low levels of influenza infection. Influenza  
20 A(H3) has been the dominate subtype. But there has been no  
21 clear peak in the season's recruit data. Many of these  
22 cases come from clusters and newly arriving recruits where  
23 it has been less than two weeks since they were immunized  
24 such as the spike you see at the end here at week 6 for  
25 influenza B.

1           This graph represents surveillance data for  
2 military members and their dependents residing in the  
3 United States and select civilian populations along the US-  
4 Mexico border. Influenza A(H3) has been the dominant  
5 subtype with low levels of A(H1) and influenza B  
6 circulating.

7           This graph represents surveillance data for  
8 military members and their dependents residing in one of  
9 seven countries in Europe. Flu activity for this population  
10 has been relatively low with only 153 influenza positives  
11 from 726 samples tested for this current season. Nearly  
12 half the samples are influenza B and over half are a mix of  
13 influenza A subtypes H1N1 and H3N2.

14           The surveillance data here comes from select  
15 local national populations within Peru, Paraguay, Columbia,  
16 and Honduras. There has been relatively low flu activity  
17 and the predominant strain has also been A(H3N2) with a  
18 small mix of influenza B. These countries fall within the  
19 tropical regions so peaks are not expected as we have seen  
20 with other regions.

21           These data represent US military personnel and  
22 dependents stationed in Asia and select local national  
23 populations. Surveillance in Asia showed early dominance of  
24 influenza A (H1N1) starting around week 23, with a drop in  
25 H1N1 incidence and more A(H3N2) around week 40 to present.

1           The surveillance data in Africa come from foreign  
2 military and civilian populations in Kenya, Tanzania,  
3 Uganda, and Ghana. There are incomplete or missing data for  
4 Tanzania and Ghana for the 2015-16 season, which is why you  
5 will see a lower number of specimens for that time period.

6           Influenza A(H3N2) dominated starting around week  
7 14 and then became mixed with A(H1). Now influenza B is in  
8 the mix with no obvious dominating strain. Ghana  
9 surveillance was different from the East African countries  
10 and then it had much more of the H1N1, accounting for 69  
11 percent of the H1N1 cases presented here. These countries  
12 are also located in the tropics, so peaks are not expected  
13 as with other regions.

14           In summary, in North America, DoD surveillance  
15 has not shown as heavy of an influenza season as the  
16 national trends indicate but does show a heavy predominance  
17 of A(H3) like CDC has been showing.

18           Activity in Europe is minimal with influenza B  
19 dominating and a mix of the other influenza A viruses. And  
20 then Asia and Africa's data show a mix of A(H3N2) and  
21 A(H1N1) with a shift to more influenza B in more recent  
22 weeks.

23           Next, I am going to be moving on to the  
24 DoD/USAFSAM Phylogenetic Analysis for the 2017-18 season.  
25 These data will probably seem very similar to what you have

1 seen with CDC as all of our sequencing is sent to CDC and  
2 incorporated into the figures that Dr. Katz just presented.

3           In order to select candidate viruses for the  
4 2017-18 season, USAFSAM developed phylogenetic analyses. This  
5 map shows where in our network sequence was selected from.  
6 For these analyses, a total of 429 samples were collected  
7 from sentinel sites and partner laboratories in 14  
8 countries and 5 combatant commands.

9           Overall, out of 429 samples that were sequenced,  
10 59 percent were A(H3N2), 23 percent were B/Yamagata, 14  
11 percent were A(H1N1) and 4 percent were B/Victoria.  
12 Overall, the majority of sequences, as I mentioned, were  
13 A(H3N2). While that remained the majority, NORTHCOM,  
14 CENTCOM, and our Pacific Command, the majority of sequences  
15 from EUCOM and AFRICOM were of the B/Yamagata lineage.

16           To compare this to the GEIS strain surveillance  
17 data that I just presented, most distributions are similar,  
18 but the GEIS surveillance indicated more H1N1 in Asia  
19 during early 2017 and GEIS surveillance indicated H3N2  
20 circulation in Africa and slightly different proportions of  
21 influenza A subtypes.

22           Here, we are looking at the phylogenetic tree for  
23 influenza A(H1N1) All 60 of these sequences were  
24 characterized as clade 6B.1. And the current WHO and



1 current vaccine strains are written in red here and also  
2 circled in red as well.

3           Next for the A(H3N2) phylogenetic tree, it  
4 contains a representative of 116 sequences from a total 254  
5 samples that were sequenced. The current vaccine strain  
6 here is very small at the very bottom in red and then the  
7 WHO-recommended strain is circled in red. The majority, 71  
8 percent were characterized as the 3C.2a2 with another 24  
9 percent in subclade 3C.2a1, 3 percent in clade 3C.2a and 2  
10 percent in clade 3C.3a.

11           The influenza H3N2 subclade 3C.2a1 was  
12 predominant during the 2016-17 season, which you can see  
13 here in red as the red bars. But the clade 3C.2a increased  
14 proportionally prior to the 2017-18 season and has become  
15 more dominant throughout the season. An increasing cluster  
16 with clade 3C.2a with mutations in the T13K and R142K and  
17 R261Q prompted designation of the subclade 3C.2a2 as Dr.  
18 Katz had mentioned. And clade 3C.2a was also the dominant  
19 clade during the 2014-15 influenza season.

20           Next, moving onto the influenza B/Victoria. All  
21 18 of these sequences were characterized as clade V1A.  
22 Fifteen of these sequences, primarily from California  
23 contained a two amino acid deletion at positions 162 and  
24 163. The current vaccine strain is again in red font with  
25 the WHO recommended strain circled in red.

1 All 97 of the B/Yamagata sequences characterized  
2 as clade Y3. The current and WHO recommended 2018-2019  
3 vaccine strains are again circled in red and written in  
4 red.

5 Based on our genetic data, we agree with the  
6 following WHO recommendations for the 2018-2019 season as  
7 displayed here.

8 Next, I am going to move on to the last portion  
9 of my talk, which is on vaccine effectiveness with DoD  
10 groups. Our mid-year estimates are provided by three  
11 different groups: the USAFSAM and AFHSBA Air Force  
12 Satellite, the Naval Health Research Center, and then the  
13 AFHSB, Epidemiology and Analysis section.

14 All three of the studies used the case test-  
15 negative design to estimate VE. For the USAFSAM and NHRC,  
16 they considered RT-PCR and viral culture for positives and  
17 AFHSB also included positive rapid tests, but individuals  
18 with negative rapid tests were excluded from the analysis.

19 First for the USAFSAM and AFHSBA Air Force  
20 satellite results, they have sentinel sites throughout the  
21 world. They use that for their specimen collection with a  
22 requirement for ILI to have fever greater than or equal to  
23 100.5 Fahrenheit and a cough and/or sore throat. Specimens  
24 should also be collected within 72 hours of symptom onset.

1           The surveillance sites are asked to submit up to  
2 ten specimens per week with priority given to the sickest  
3 or hospitalized patients.

4           The USAFSAM analysis is focused on DoD health  
5 care beneficiaries. The active component population is  
6 excluded from this analysis as it is handled in another  
7 analysis that I will present at the end of the talk.

8           Their analysis time period was October 1, 2017  
9 through February 10, 2018. And the analysis was conducted  
10 for both influenza overall A(H3N2), A(H1N1) and B and also  
11 by various age groups.

12           Analyses were adjusted for age, month of illness  
13 and region, CONUS, Continental US-based sites and OCONUS,  
14 which were all the other international sites.

15           They identified 1160 cases confirmed by RT-PCR or  
16 viral culture, 1383 test-negative controls, and they found  
17 vaccination rates of 36 percent among cases and 47 percent  
18 among controls. Of all the cases, 53 percent were A(H3), 13  
19 percent were influenza A(H1) and 34 percent for influenza  
20 B.

21           This figure is just depicting the age breakdown  
22 of the population of cases and controls. As you will see,  
23 the USAFSAM population did include children from two years  
24 and up and also adults in a smaller amount of 65 plus.

1           To look at the vaccine effectiveness estimates  
2 here, they looked at overall and then subtype specific VE  
3 estimates for all dependents combined and then children and  
4 adults stratified when the numbers allowed for that  
5 stratification.

6           Looking at all influenza, among all dependents,  
7 they found vaccine effectiveness of 51 percent, which was  
8 statistically significant with 95 percent confident  
9 intervals from 41 to 59 percent. When you stratify that by  
10 children, again, similar VE of 52 percent and in adults 51  
11 percent.

12           When you look specifically at the A(H3N2) cases,  
13 overall vaccine effectiveness was 37 percent and it was  
14 also statistically significant. Among children, the VE was  
15 38 percent, which was statistically significant. And among  
16 adults, also statistically significant, 35 percent VE for  
17 A(H3).

18           Looking at A(H1), all dependents are lumped  
19 together as the numbers were too small to do age stratified  
20 analyses. But we found 79 percent vaccine effectiveness  
21 that was statistically significant. And for influenza B  
22 also relatively high VE of 60 percent, which was also  
23 statistically significant.

1           Here is just another depiction of the same  
2 results for the plot. You can see how the confidence  
3 interval and point estimates range.

4           In summary, the USAFSAM VE estimates found that  
5 the influenza vaccines were protective and it was  
6 significant among children and adults. When you look  
7 specifically at A(H3), they found moderate protection both  
8 for children and adults. A(H1) adjusted VE was also  
9 protective. High vaccine effectiveness that was  
10 statistically significant and influenza B also moderate  
11 protection.

12           Next, I am going to move over to the Naval Health  
13 Research Centers, VE analysis. They look at civilian  
14 populations only. These are civilians at out-patient  
15 clinics near the US/Mexico border. This is in collaboration  
16 with CDC and the State of California.

17           Their analyses are adjusted by age group and they  
18 identify 201 cases and 114 test-negative controls. Their  
19 cases of 13 percent were vaccinated and among the controls,  
20 24 percent were vaccinated. And similar to the other  
21 analyses, A(H3) predominated with 78 percent of the cases.  
22 Influenza B was next with 20 percent of the cases and 1  
23 percent were A(H1).

24           Again, this is the age distribution for the NHRC  
25 population. Two-thirds of their population were children

1 under 18 years of age and then another third between 18 to  
2 64 years of age for both cases and controls.

3 I will note that the NHRC analysis was conducted  
4 among cases enrolled between November 13, 2017 and January  
5 8, 2018. They provided subtype-specific VE for A(H3N2).  
6 Again, with all dependents combined, we have 52 percent  
7 vaccine effectiveness, which was statistically significant.  
8 And then they stratified that by children and adults. I  
9 will remind you that the only thing they adjusted for in  
10 the analysis was age, which is why you do not see adjusted  
11 VE estimates here. The crude VE estimates are what you  
12 should be looking at. For children, they found a 62 percent  
13 VE for H3N2. Among adults, only a 5 percent VE, but as you  
14 look at the numbers, they were quite small. This is  
15 probably a very unreliable estimate of VE among the adult  
16 population.

17 For influenza B, the dependents were all combined  
18 and they found a 62 percent VE; however, it was not  
19 statistically significant.

20 In summary for A(H3N2), VE was moderately  
21 protective and statistically significant in the civilian  
22 border population. It was moderately protective among  
23 children and for adults low, but again very wide confidence  
24 intervals in small numbers. And VE for influenza B was  
25 moderately protective in this population.

1           Lastly, I am going to discuss the AFHSB  
2 epidemiology and analysis VE assessment. This also was a  
3 case control test-negative control design. This analysis  
4 focused only on active component service members. This  
5 included service members from all four services based both  
6 CONUS and OCONUS.

7           The analysis was restricted to peak influenza  
8 months from December 1, 2017 through February 10, 2018. We  
9 identified 2926 cases and 2557 test-negative controls. The  
10 models were adjusted for sex, age category, month of  
11 diagnosis, and a five-year vaccination history.

12           I will comment that the active component and  
13 actually all the service member populations are a highly  
14 immunized population. It is required that they receive the  
15 influenza vaccination. We have extremely high vaccination  
16 rates here with our cases being 89.3 percent vaccinated and  
17 our control population, 90.2 percent vaccinated.

18           We did assess whether the vaccines administered  
19 to this population were cell based or egg based. Overall  
20 for DoD, about 50 percent of the vaccines that were  
21 purchased were cell based. And in our active component  
22 population, about 30 percent of the vaccines that were used  
23 in this study population were cell based.

24           The activated influenza vaccine was the only  
25 vaccine used this season. And 91 percent of our subjects

1 again had been vaccinated in prior seasons given that  
2 requirement for immunization.

3           We identified 2190 cases that were influenza A  
4 that were not subtyped, 301 A(H3) subtyped cases, and 48  
5 A(H1) cases, and 383 influenza B cases.

6           Here is the age distribution. Since these are  
7 active components, it is only an adult population, ranging  
8 from 18 to 40 plus.

9           For our VE estimates, we assessed any and type of  
10 influenza so A and B combined. We found a vaccine  
11 effectiveness of 19 percent, which was statistically  
12 significant with 95 percent confidence intervals of 3 to  
13 33.

14           When we looked specifically at influenza A since  
15 that was the predominant type of cases that we saw, it was  
16 also 19 percent statistically significant VE. When we  
17 looked solely at the A(H3N2) cases, we found a point  
18 estimate that was higher of 27 percent VE. However, that  
19 did not reach statistical significance. And the same with  
20 influenza B, a VE of 25 percent, but was also not  
21 statistically significant.

22           Overall for all influenza and influenza A types,  
23 we saw low vaccine effectiveness among this active  
24 component military population. Influenza A(H3) and



1 influenza B results were not statistically significant, but  
2 point estimates were low.

3           Just to summarize for all of the three groups,  
4 DoD dependent VE was statistically significant and ranged  
5 from 37 to 79 percent depending on the population and the  
6 influenza subtype.

7           Border civilian VE was also moderate protective  
8 at 52 percent. And active duty vaccine effectiveness was  
9 low at around 19 percent, but statistically significant.

10           Here, again, is just the plot to give you a  
11 different depiction of the results that I just presented.

12           I do want to mention some of the limitations to  
13 our vaccine effectiveness analyses. First of all,  
14 generalizability. Subjects had to be sick enough to seek  
15 medical care in order for them to be ascertained within our  
16 population and get tested. We are not able to assess VE on  
17 less severe cases.

18           I will also emphasize that within DoD for service  
19 members and beneficiaries that they have very good access  
20 to care. We may be identifying more less severe cases,  
21 maybe more likely to come for treatment as opposed to the  
22 general US population.

23           The active duty military population is highly  
24 immunized. This could have a negative impact on our vaccine  
25 effectiveness estimates, which were lower than the

1 beneficiary populations potentially with methodological  
2 issues when you have such high vaccination coverage. You  
3 are really only comparing a 1 percent difference, which  
4 makes it hard to do methodologically. And then biological  
5 effects of repeated immunizations annually may also be  
6 playing a role in this reduced VE estimate.

7           Populations are younger and we were not able to  
8 assess vaccine effectiveness in older, high-risk  
9 populations.

10           I will mention since we did have about 50 percent  
11 of the population receiving cell based, the USAFSAM and the  
12 AFHSB silver spring and air force satellite are hoping late  
13 spring to summer to investigate vaccine effectiveness of  
14 cell versus egg-based vaccines.

15           With that, I will move on to acknowledgments.  
16 Quite a lot of people contributed to these data and results  
17 from throughout the world especially with our USAFSAM group  
18 that does the heavy lifting with all the laboratory data.

19           DR. EDWARDS: Thank you very much. Questions for  
20 Dr. Cost?

21           DR. SHANE: Thank you very much for a nice  
22 presentation. I just had a question about vaccine status.  
23 Was that purely from documentation or was there any self-  
24 report?

1 DR. COST: There will be. It depends on the group.  
2 For DoD service members and the majority of the  
3 beneficiaries, we do have medical encounter data on  
4 vaccination status. It is chart confirmed. However, for the  
5 NHRC border population, that is self-reported.

6 The USAFSAM population has a small section. They  
7 administer questionnaires to all the enrollees for their  
8 sentinel surveillance. With that, they can report  
9 vaccination status. But then they also try to confirm it  
10 with the medical encounters if they can.

11 DR. EDWARDS: Would there be any data regarding  
12 hospitalization? Probably the number of people hospitalized  
13 with influenza is probably very small.

14 DR. COST: Unfortunately for this season, there is  
15 quite a bit of delay in us receiving hospitalization data  
16 on a DoD level. It is typically about a three-month delay.  
17 What we are receiving in AFHSB is the billing code data  
18 that comes after the hospitalization.

19 USAFSAM does get some information and the  
20 laboratory data can indicate hospitalizations, but it is  
21 too early really for us to get a good assessment of  
22 hospitalized cases.

23 DR. MONTO: I just was wondering about the use of  
24 the test negative design in a population in which there is  
25 a requirement for vaccination. Do you know the reasons for

1 non-vaccination exclusions or other things which might bias  
2 the design?

3 DR. COST: That is a good question because I would  
4 expect it to be 95 percent. The immunization health care  
5 branch does put out reports like that. There may be some  
6 misclassification of individuals in the unvaccinated group  
7 that were actually vaccinated. It just was not documented.  
8 I know they have tried to move away from egg allergies and  
9 that sort of thing especially some of the cell based. You  
10 have more variety for the service members. But there are  
11 some individuals who are listed as exempt for receiving  
12 influenza vaccine. I think that is what is making probably  
13 that 5 to 10 percent population.

14 DR. FRIEDLAND: I am just trying to wrap my arms  
15 around the data and of course it would be its interim. When  
16 you have end of season data, you will have more power  
17 around analyses. In general, your adult effectiveness was  
18 lower than what was reported in the interim analysis from  
19 the CDC. In general, there is a feeling that vaccination  
20 can attenuate illness and you are reporting that most of  
21 these patients were sick enough that they would have gone  
22 for health care. Following up on Kathy's comment about  
23 hospitalization, I think it would be very important to try  
24 to look at severity of illness and see if there is an  
25 impact on severity of illness among your population given

1 that your results are generally lower than had been  
2 reported.

3 DR. COST: Definitely. I agree with that. As soon  
4 as the robust data is available for the whole population,  
5 we can incorporate that in our full season analysis.

6 DR. EDWARDS: Any other questions? Thank you very  
7 much.

8 We now have a lunch break. We will return at  
9 1:30. We will continue our discussion.

10 (Whereupon, a luncheon recess was taken at 12:30  
11 p.m.)

12

13

14

15

16

17

18

19

20

21

22

23

24

25

1                                   A F T E R N O O N       S E S S I O N

2                   **Agenda Item: Candidate Vaccine Strains and**  
3 **Potency Reagents**

4                   DR. EDWARDS: This afternoon's session will be on  
5 candidate vaccine strains and potency reagents by Dr.  
6 Joshi, lead biologist at the Division of Biologic Standards  
7 and Quality, the Office of Compliance and Biologic Quality  
8 at CBER FDA.

9                   DR. JOSHI: Good afternoon, everybody. I hope  
10 everybody enjoyed their lunch and ready for the afternoon  
11 session as much as we can be. I come from the Division of  
12 Biological Standards and Quality Control and Office of  
13 Compliance at CBER. Division of Biological Standards and  
14 Quality Control, in collaboration with other Essential  
15 Regulatory Laboratories, we call the ERLs, participate in  
16 generation and calibration of reagents required for testing  
17 of influenza vaccine. Our division also manages and  
18 provides these reagents to all US license manufacturers.

19                   In my presentation, I will go over the currently  
20 used vaccine viruses and WHO-recommendation for 2018-2019  
21 seasonal vaccine, both trivalent and quadrivalent. In  
22 addition, I will provide information about the available  
23 reagents for each of the strain, and our division's plans  
24 for preparing and supplying the influenza vaccine testing  
25 reagents for the upcoming season.

1           And lastly, I will make a few comments. I can  
2 tell you up front only these comments are not so much for  
3 the Committee as such, but for the benefit of the  
4 manufacturers in the audience. I would like to make some  
5 comments about how we are planning for all the testing  
6 activities for 2018-2019 campaign, how they should be  
7 handling and using the SRID reagents, and an important part  
8 of the start of the season where it is required that we do  
9 the testing for the monovalent bulk vaccines prepared by  
10 the manufacturers.

11           Coming to the H1N1 strain, the current vaccine  
12 virus for 2017-2018 season was A/Michigan/45/2015  
13 (H1N1)pdm09-like virus. Going forward, I will just  
14 abbreviate this name instead of reading all of it. X-275  
15 reassortant of A/Michigan and IVR-180 and 180A reassortant  
16 of A/Singapore/GP1908, which is also a Michigan-like virus  
17 that has been used in vaccine manufacturing.

18           WHO recommended that the virus for the 2018-2019  
19 campaign continues to remain as A/Michigan/45. If you  
20 remember, this has been recommended for the 2018 Southern  
21 Hemisphere campaign. I didn't bother to put all the names  
22 of the candidate vaccine viruses, which are available for  
23 this strain. But the WHO webpage clearly defines what all  
24 is available.

1           If the Committee approves, and this strain  
2 continues to be in the upcoming season, I would like to go  
3 over the available reagents for H1N1. So reference antigen  
4 for testing of egg-grown vaccine for X-275 reassortants are  
5 available from CBER, as well as from NIBSC. Reagents for  
6 A/Singapore reassortant 180 are available from TGA, and  
7 Australia and NIBSC in London, in UK, and NIID in Japan.

8           Similarly, reagents from A/Singapore IVR-180A  
9 reassortants are available from TGA. For the vaccine grown  
10 in cell, the reagents are available for A/Singapore/GP1908,  
11 as well as for its reassortant IVR-180. They are both  
12 available from TGA.

13           At CBER, we have prepared an antiserum last year,  
14 H1-Ab-1701. We are in the process of making the  
15 replacement lots. I would like to remind the audience here  
16 that CBER approval of these reagents is going to be on a  
17 case-by-case basis. We strongly recommend that you consult  
18 with us before starting to use reagents from any.

19           Coming to the H3N2 strain, the current vaccine  
20 virus recommended for the 17-18 season was A/Hong Kong/4801  
21 like virus. The reassortant for A/Hong Kong X-263 and 263B  
22 were made available. And in addition, A/Singapore/GP2050,  
23 a cell culture derived virus was also available for  
24 manufacturing.



1           As far as potency reagents are concerned, for the  
2 past season, all reagents are made available by CBER and  
3 all the others, as well. The new recommendation from WHO,  
4 they recommend that for the upcoming season, the H3N2  
5 strain needs to be changed. It should be  
6 A/Singapore/INFIMH-16-0019/2016 like virus. All of you  
7 know that this has been recommended for the 2018 southern  
8 hemisphere season, as well.

9           The candidate vaccine viruses for the egg-derived  
10 vaccine are available, which include the A/Singapore  
11 reassortant NIB-104, IVR-186, X-307 and 307A. Similarly,  
12 the candidate vaccine virus for making the vaccine in  
13 cells, the A/North Carolina and A/Canberra are also  
14 available.

15           Coming to the reagents availability, CBER has  
16 already started and made the reference antigen log for NIB-  
17 104, which will be calibrated as soon as we have the  
18 availability. We are waiting for it. As soon as it  
19 becomes available, we will calibrate the reagent. Since  
20 this strain was recommended for a certain hemisphere, the  
21 NIB-104 reagents are available from NIBSC, and reagents for  
22 IVR-186 are available from TGA. At CBER, when it comes  
23 down to for the reagents required for the cell-grown  
24 vaccine, CBER will work with manufacturers to prepare and

1 calibrate the required reference antigen or the need for  
2 the production platform.

3           Coming to Influenza B and talking about the  
4 Victoria Lineage, the current vaccine virus was  
5 B/Brisbane/60/2008-like virus. This was also recommended  
6 for 2018 southern hemisphere season. B/Brisbane/60, as  
7 well B/Hong Kong/259/2010 viruses were used in vaccine  
8 manufacturing. Again, ERLs had worked hard calibrating, so  
9 reagents for B Victoria Lineage were available from CBER  
10 and from other ERLs.

11           The recommendation from WHO that just came out,  
12 and we heard it this morning from everybody, is the  
13 recommendation is that this strain be changed and introduce  
14 a B/Colorado/06/2017 like virus for the upcoming season.  
15 The candidate viruses for the egg-derived ones, I am not  
16 going to read all of them. They are listed up here, and  
17 they are available for vaccine manufacturing and similarly  
18 for the candidate vaccine viruses, which are cell-culture  
19 derived. The B/Louisiana and B/Iowa also are available.

20           It is a new strain coming up. We have to think  
21 about how we are going to handle this. The plan is that,  
22 as far as production of reference antigen is concerned,  
23 CBER will work with the ERLs and manufacturers to prepare  
24 and calibrate the required reference antigen. Even for the  
25 cell-grown vaccine, CBER is all ready to work with the

1 manufacturers to prepare and calibrate the required  
2 reference antigen for the strain they select for their  
3 production. We are in the process of planning and starting  
4 the sheep antiserum production in the very near future.

5           Coming to the influenza B virus from Yamagata  
6 Lineage, the current vaccine contains the  
7 B/Phuket/3073/2013 like viruses. B/Phuket wild type and  
8 its reassortant BVR-1B has been used in vaccine  
9 manufacturing.

10           Again, I don't have to reiterate ERLs work  
11 together always to make sure these reagents are available.  
12 The reagents were made available by CBER, as well as other  
13 ERLs. We worked on a collaborative effort to get them  
14 ready.

15           Now, WHO recommended that the B Yamagata Lineage  
16 virus for 2018-2019 should be the B/Phuket like virus. I  
17 am not going to go over the list. I think in the interest  
18 of time, just look at the website. All the CVVs are listed  
19 at the site.

20           As far as available reagents for B strain, we  
21 call this the second B strain in the quadrivalent vaccine.  
22 Reference antigens are available for wild-type B/Phuket  
23 from CBER, from NIBSC, TGA and NIID. We had worked with  
24 TGA, and the reagent from BVR-1B assortants are available  
25 from TGA, as well as NIBSC has prepared, which is a

1 B/Phuket like virus. The reagents were prepared for that  
2 by NIBSC.

3 For cell-grown vaccine, both CBER and NIBSC were  
4 involved. Each one of us had prepared a B/Utah/09/2014  
5 reference antigen for that strain. Currently, CBER has  
6 three lots of antiserum available if this strain continues  
7 to be in the vaccine.

8 Again, I should be saying that each slide is  
9 provided. If this strain gets included, if it gets  
10 recommended by the Committee and gets included in the  
11 upcoming vaccine, we would like to remind the manufacturers  
12 that the CBER approval of any reagents is on a case-by-case  
13 basis. We would like you to consult with us before  
14 starting to use reagents from any other ERLs.

15 Now, I would make a few comments, which is more  
16 relevant to the manufacturers in the audience here, is how  
17 we are planning for our 2018-2019 influenza season and our  
18 testing activity. We at DBSQC would like that  
19 manufacturers provide us the following information.  
20 Following meaning that we want to know from you which  
21 strain you are selecting because there are multiple CVVs  
22 available. Please let us know which strain.

23 Which reassortant or candidate vaccine you choose  
24 in your manufacturing platform? What are your plans for  
25 using the reagents? Some reagents are available from

1 others. Do you plan to use any of them? We would like to  
2 know that, whether it is antigen, antiserum.

3 I think this information is extremely important  
4 for the DBSQC because we do all the testing for the  
5 vaccine, prepare all the reagents. Because based on the  
6 information you provide us, we will be able to work around  
7 reagent calibration activity. Again, if you choose to use  
8 the reagents from other ERLs, it is very important for us  
9 to have those reagents in our domain, which means it  
10 involves importing the reagents from ERLs.

11 And as all of us know, this can be time  
12 consuming. We would like to know ahead of time, so that  
13 everything can be ready when your sample comes into our  
14 domain for testing. All these will kind of help us in  
15 running a smooth operation of monovalent testing, as well  
16 as when the real lots come for testing, lot release  
17 testing.

18 Now, I will make some general comments about the  
19 SRID reagents and their uses. I would like to remind the  
20 manufacturers that only CBER authorized reagents should be  
21 used to test potency of vaccines marketed in the US.  
22 Please consult with us before selecting the reagents and  
23 start using them.

24 Please remember that when CBER authorizes the use  
25 of reagents from other ERLs, it is your responsibility to

1 make sure that those reagents you obtain directly from  
2 those ERLs. CBER recommends that the use of reference  
3 antigen and reference antiserum from the same source is  
4 highly desirable and recommended because this will avoid a  
5 lot of discrepancies. Mixing up batching of reagents from  
6 two ERLs sometimes can be a problem and all more work.  
7 Please consult with CBER with all your reagent-related  
8 questions.

9           Another thing, this points usually, I tell in  
10 every meeting, I think it is a good point like the start of  
11 season to remind people that antiserum lots, when we  
12 prepare them, sometimes the lot sizes are not so big. You  
13 have a limited supply of antiserum. And if you begin with  
14 the first lot of antiserum, we recommend that you have a  
15 little amount for any of your future activity, like when  
16 you need to bridge the incoming new lots of antiserum. So  
17 just make sure you keep a small amount aside, so that  
18 bridging can be performed, and you don't really do any  
19 problems.

20           We recommend that it is desirable to use the same  
21 reagents for our monovalent, and your formulated bulk  
22 testings, and also subsequent follow-up studies. Begin  
23 using the same reagent will avoid a lot of issues with  
24 which you run into by changing the reagent in the middle of  
25 the study.

1           There are sponsors developing new products for  
2 them. We suggest that you please discuss the use of SRID  
3 reagent in an early phase of your product development.  
4 Again, that will help in streamlining some of the  
5 activities down the line.

6           And lastly, I would say for any inquiries  
7 regarding CBER reference standards and reagents  
8 availability and shipping, please contact the CBER  
9 Standards, which most of the manufacturers do it. But I  
10 think it helps with the email address right there.

11           Now the last thing I think is the most important  
12 point, as the season starts rolling. I would like to make  
13 a few points about the monovalent bulk testing, which we do  
14 for all the manufacturers in our division. There are  
15 certain points we want you to remember. The first and most  
16 important is monovalent samples must be submitted to DBSQC  
17 directly. You can email me, at my email address up here,  
18 regarding your dispatch of samples, your test results and  
19 any question regarding your sample activities.

20           This year, we want you to know that to facilitate  
21 a faster turnaround, we want you to submit your preliminary  
22 SRID results, which are based on your first two of multiple  
23 SRID tests required to generate the results. In the last  
24 few years, we have seen that the samples get submitted, and  
25 the results are not submitted. Or either the results are

1 submitted from way back. All of us know that this can be  
2 some decline in initial phase. When the testings are  
3 performed in two labs, and we get very different results,  
4 and then there are a lot of issues.

5           We recommend that the preliminary SRID results  
6 should be generated within one week of submitting the  
7 samples to DBSQC. Once we receive your samples and  
8 results, we will initiate testing in our laboratory.  
9 Please remember that the testing in DBSQC is performed in  
10 the order samples and results are received.

11           We still want that. And initially, you submitted  
12 us your very preliminary result. So please submit your  
13 final result. Then once they are ready and gone through  
14 internal processes, these results can be submitted to us  
15 via email. You don't have to send us hard copies. Once we  
16 will have your results, then DBSQC will communicate CBER  
17 test results, and everything will be finalized after that.

18           In closing, I would like to emphasize that we at  
19 CBER are committed to make every effort to assure that the  
20 reagent preparation is done in a timely manner, and  
21 reagents are available in the right timeframe for you to  
22 test your products. And the most important thing I always  
23 try to say is that we here at CBER, we believe that making  
24 the influenza vaccine available in a timely manner is a  
25 responsibility shared by all of us in this room.



1           Here, all of us, each one of us in this room,  
2 work as a team to make sure that vaccine is made, tested  
3 and released in the timely manner for the public. I think  
4 it is a joint effort. We have been doing it year after  
5 year. I think this would be looking forward to having a  
6 very successful year ahead with this. Thank you.

7           DR. EDWARDS: Thank you very much. Are there  
8 questions? The next presentation will be the comments from  
9 the manufacturers. Dr. Penny Post, the head of regulatory  
10 affairs at Flublok Protein Sciences Corporation, a Sanofi  
11 Company, will present.

12                   **Agenda Item: Comments from Manufacturers**

13           DR. POST: Thank you. I would like to start off  
14 by thanking VRBPAC and the FDA for the opportunity to share  
15 the industry perspective on influenza vaccine  
16 manufacturing. I am making this presentation on behalf of  
17 all manufacturers who supply influenza vaccine to the US  
18 market. Those would be Sanofi Pasteur, GSK, AstraZeneca  
19 MedImmune, Seqirus and Protein Sciences. Each manufacturer  
20 has contributed to this presentation.

21           Today, I will provide you with an overview of our  
22 vaccine production, release, distribution timelines, and  
23 the preparations that we make in partnership with the  
24 Public Health Service Organizations throughout the year. I  
25 would like to begin by showing you the volume of vaccine

1 doses that are manufactured and distributed annually. The  
2 amount of vaccine that has been distributed over time has  
3 steadily increased.

4           The left graph shows an impressive steady rise in  
5 total doses that have been distributed by the vaccine  
6 manufacturers over the past nearly 40 years. The right  
7 panel shows the pattern of vaccine distribution over the  
8 course of a single season. You can see that vaccine  
9 distribution is largely completed by the November  
10 timeframe.

11           To date, this season nearly 155 million doses  
12 have been distributed, which is about the highest annual  
13 amount for a seasonal vaccine in terms of distribution,  
14 exceeded only during the 2009 pandemic. So a we have been  
15 talking about today in a number of the talks, vaccine  
16 supply requires a timely selection of well-matched strains,  
17 time to manufacture enough supply to meet this demand, and  
18 timely pre-season distribution.

19           So in order to meet this vaccine demand,  
20 manufacturers begin to produce at least one of the three or  
21 four components of the vaccine at risk prior to the vaccine  
22 strain selection meetings, using surveillance data that is  
23 available at the time. Once the annual strain selection  
24 meeting occurs, and as we have been talking about this year  
25 was February 22<sup>nd</sup> for the WHO and today for the US, once

1 that occurs, then production of all vaccine components  
2 begins and production of the potency release reagents  
3 begins for any new strains. Sometimes if there is a strain  
4 change, a new working virus, vaccine seeds also need to be  
5 produced.

6           Balancing is done later in the season during the  
7 summer to ensure that we have equal amounts of each of the  
8 vaccine components manufactured. We need the potency  
9 reagents available, so that we can accurately blend the  
10 vaccine components. Therefore, we need to wait to do our  
11 formulation until these potency reagents are available.

12           Vaccine is then packaged and distributed. This  
13 process will extend into the fall when vaccination is  
14 recommended. So you can see by the timeline here that this  
15 whole process takes about six to seven months to complete  
16 this manufacturing release and distribution of the volume  
17 of vaccine that is required for the season.

18           So as I mentioned in the previous slide, meeting  
19 the vaccine supply timelines depends on a couple of  
20 critical factors. The first is timely selection of vaccine  
21 strains, which then allows us to stop manufacturing vaccine  
22 components at risk and ensures that our manufacturing  
23 efforts are focused on manufacturing the correct strains.

24           And the second is availability of potency  
25 reagents, which sets our timeline for vaccine formulation.

1 So reagents are produced and calibrated by CBER for any new  
2 strains. This process takes several months, as we have  
3 heard in the previous talks today.

4 So there is work that is ongoing right now to  
5 help improve the vaccine production timelines. HHS' BARDA  
6 has held vaccine mismatch meetings in 2015. These included  
7 representatives from FDA, NIBSC, CDC and industry. They  
8 have worked on developing a seasonable influenza vaccine  
9 improvement initiative.

10 So some of the suggestions for improvements that  
11 have come out of these meetings were aimed at having more  
12 representative viruses, or candidate vaccine viruses,  
13 identified early and to evaluate yields prospectively, so  
14 that manufacturers can have access to the highest-yielding  
15 candidate vaccine viruses as soon as possible.

16 Other improvements were around reagents for  
17 potency testing for the vaccine formulation and for  
18 release, so that reagents can begin to be prepared earlier  
19 and at risk, such as producing additional alternative  
20 potency reagents. Any of these timeline reductions for  
21 manufacturers that can be implemented can help facilitate a  
22 delayed vaccine composition selection. But we are not  
23 there quite yet with these improvements.

24 I wanted to spend a couple of slides talking  
25 about what do manufacturers do to prepare for the upcoming

1 manufacturing campaign? We track surveillance data through  
2 summaries of internal WHO teleconferences, which are held  
3 to review the surveillance data. They include a table  
4 listing of all viruses of interest. We attend NIBSC  
5 meetings, BIO/FDA meetings, and we have discussion with WHO  
6 Collaborating Centers.

7           We use websites such as WHO Flunet and CDC  
8 Fluvview to look at surveillance. We also track  
9 availability of candidate vaccine virus strains for  
10 manufacturing through WHO chaired teleconferences. These  
11 have been running basically since WHO southern hemisphere  
12 strain selection meeting in September 2017. They moved  
13 right into the northern hemisphere discussions.

14           Lastly, we used a spreadsheet of viruses of  
15 interest that was developed by the NIBSC and the Crick  
16 Institute in the UK for planning. Industry also engages  
17 very closely with WHO and US agencies at multiple forums.  
18 The bar in the middle of this slide here shows the time in  
19 between the VRBPAC strain selection meetings, which are  
20 indicated in red running from March of 2017 last year to  
21 March of 2018 this year.

22           The light blue boxes represent the seasonal flu  
23 meetings. The dark blue boxes are the WHO meetings where  
24 influenza may be discussed. Industry representatives  
25 attend these meetings and work very closely with the global

1 agencies to help resolve issues and to get updated  
2 information to improve vaccine supply. So these really are  
3 key opportunities for us to understand the surveillance and  
4 what candidate vaccine strains are available.

5           This slide gives you an idea of the different  
6 strains that we have been evaluating for the upcoming  
7 northern hemisphere manufacturing campaign. The strains  
8 that are shown in red are those that were selected by the  
9 WHO last week. The strains listed underneath are all of  
10 the others that we have been evaluating for production for  
11 this coming season.

12           So I wanted to spend a moment to talk about the  
13 Nagoya protocol, which we have talked about over the last  
14 couple of VRBPAC meetings in our industry presentations. I  
15 wanted to give an update at today's meeting.

16           So for some background, the Nagoya protocol was  
17 developed from access and sharing discussions at the  
18 Convention on Biological Diversity. It has come into force  
19 in 2014 when the 50<sup>th</sup> region ratified the protocol.

20           The objectives of this are to ensure access to  
21 genetic and related translational knowledge for potential  
22 use. It ensures that users and providers of genetic  
23 resources and related traditional knowledge agree on fair  
24 and equitable sharing of benefits arising from their use.  
25 These benefits may be monetary or non-monetary. This was

1 initially developed for agricultural purposes, but it  
2 includes viruses, as well.

3           So the participating regions in the Nagoya  
4 protocol are putting legislation into place around this and  
5 are including pathogens. Under the Nagoya protocol,  
6 companies would need to negotiate bilateral agreements with  
7 the supplying country, which we estimate could take about  
8 three months in order for us to receive a particular strain  
9 or to obtain permission to work with it.

10           There is currently a specialized international  
11 access and benefit-sharing instrument that has been put in  
12 place that has exempted pandemic influenza. Industry  
13 supports the WHO and CBD efforts to extend this now to  
14 seasonal influenza. So while the United States is not  
15 signatory to the Nagoya protocol, there could still be some  
16 restrictions on vaccine strain availability for the US  
17 manufacturer.

18           So to illustrate this, I have shown you the slide  
19 that I just showed you previously of the strains that we  
20 have been evaluating for the upcoming campaign. I have  
21 crossed out all the strains that come from countries that  
22 are Nagoya protocol signatory countries. So availability  
23 of these strains could be potentially impacted depending on  
24 local legislation around the Nagoya protocol in these

1 countries. This could greatly reduce our choice of viruses  
2 when trying to find a well-matched strain for the vaccine.

3 I also wanted to just say a couple of words on  
4 vaccine confidence. As we have been talking about today,  
5 this 2017-2018 influenza season has been difficult with  
6 lower than usual vaccine efficacy. The public health  
7 messaging is leading some people to think that vaccination  
8 is not worth their time now, given the low vaccine  
9 efficacy. Until a universal vaccine is developed and/or  
10 production is sufficiently ramped up for alternative  
11 production platforms, such as cell-based and recombinant,  
12 we think it is still very important to maintain public  
13 awareness of the importance of vaccination.

14 So to conclude, timely strain selection and  
15 vaccine supply requires close collaboration between  
16 multiple stakeholders to ensure sufficient supply of  
17 vaccine each season. The 2018-2019 season manufacturer  
18 preparedness is ongoing. However, further improvements  
19 identified are to be implemented to mitigate later strain  
20 selection recommendations.

21 Adherence to the Nagoya protocol could result in  
22 a delay of an influenza vaccine candidate strain, and the  
23 influenza vaccine industry is going to collaborate with CBD  
24 to facilitate mitigating this risk. And lastly, we  
25 believe, as everyone in this room, that it is critical that



1 we all work to maintain public confidence in vaccination.

2 Thank you.

3 DR. EDWARDS: Thank you, Dr. Post. Are there  
4 questions?

5 MR. TOUBMAN: Responding to both presentations, I  
6 got from Dr. Joshi's presentation, to put this delicately,  
7 a little bit of frustration about some of the process.  
8 There have been some situations where information hasn't  
9 come from the manufacturers as quickly as would have been  
10 helpful. My question is if that is true, are there some  
11 things that might require some external assistance to help  
12 them facilitate matters?

13 DR. JOSHI: I am sorry if I did not make it very  
14 clear. I think my comment about some of this controversy  
15 was not to do with anything else. It is between the way we  
16 do the testing activities in our division and getting some  
17 back and forth information from manufacturers. It is the  
18 smooth line of operation of testing activities. That was  
19 more meant for the manufacturers.

20 We can work out some of the things, so that you  
21 know the best activities run smoothly. We do understand  
22 that if somebody sends you a sample, they expect, oh, now I  
23 am going to get my results. Then for us to do anything,  
24 like we require certain baseline things for them to even  
25 initiate testing in our domain.

1           So other than that, I just wanted to make sure  
2 with them it was a reminder for the manufacturers that  
3 please be more communicative. We can work out it together  
4 and plan it better, so that you are done with your anxiety,  
5 get over it faster. Your testing is done.

6           We are like, okay, we have done our job. You are  
7 happy with the way we performed in a timely manner. It was  
8 more like for the people with whom we deal on a routine  
9 basis, when the season starts and all our testing activity  
10 happens.

11           DR. EDWARDS: We appreciate that feedback.

12           DR. BENNINK: I want to take advantage that you  
13 are the one presenting today. Some of the other vaccines  
14 have a little bit of neuraminidase, even if it is not  
15 tested. Have you considered putting neuraminidase in your  
16 vaccine? Will you do that?

17           DR. POST: It is definitely something we have  
18 discussed as Protein Sciences Corporation. But we do have,  
19 in our package insert, data that shows that FluBlok is non-  
20 inferior. Although we are not claiming it, we have some  
21 superiority data in the tables in our package insert  
22 without neuraminidase in the vaccine.

23           We know that FluBlok performs at least as well as  
24 vaccines that contain neuraminidase without neuraminidase.  
25 But of course, there is always room for improvement.

1                   **Agenda Item: Open Public Hearing**

2                   DR. EDWARDS: Any other questions of these  
3 speakers? Okay, we are going now to turn to the open  
4 public hearing. I would welcome the open public hearing  
5 session. Please state your name and your affiliation.  
6 Both the FDA and the public believe in the transparent  
7 process for information gathering and decision-making to  
8 ensure such transparency at open public hearing session of  
9 the advisory committees. The FDA believes it is important  
10 to understand the context of the individual's presentation.

11                  For this reason, the FDA encourages you, the open  
12 public hearing speaker, as you begin to state if you have  
13 any financial, personal or other professional relationships  
14 with any company or group or individual that may be  
15 affected by the topic of this meeting. If you do not have  
16 any such interest also, FDA encourages you to state that  
17 for the record. If you choose not to address this issue of  
18 financial, personal or other professional relationships at  
19 the beginning of the statement, it will not preclude you  
20 from speaking, and you may still give your comments.

21                  So a number of people have signed up for the open  
22 public hearing. Some of the penmanship is not quite as  
23 legible as others. If I mispronounce your name, please do  
24 correct me. The first speaker is D. Kumlaw (phonetic) from  
25 Clarviate Analytic. The second is M. McGill from Sanofi

1 Pasteur. Okay, I am afraid maybe some of these are wrong  
2 sheet signers. If that is the case, then we understand.

3           Doris Bucher from the New York Medical College,  
4 and Doris, are you signing the wrong sheet, too? Okay,  
5 thank you. Christian Kropp from Sanofi Pasteur, Katherine  
6 Fertile. There was someone that did sign up from the  
7 Kentucky Bioprocessing. Is that individual here? Okay, if  
8 there is anyone who has not been called or would like to  
9 speak in the open public hearing, please stand up and do it  
10 now.

11           So it looks like we don't have any individuals  
12 that want to speak in the open public hearing. I think  
13 that now it is time for us to discuss the issues at hand.  
14 Are there any comments that people would like to make  
15 before we go around the room and discuss this?

16           **Agenda Item: Committee Discussion, Voting, and**  
17 **Recommendations**

18           DR. OFFIT: It seems to me that based on Jackie  
19 Katz's presentation and, to some extent, Lisa Grohskopf's  
20 presentation, that H3N2 appears to drift while being  
21 manufactured in eggs. So it would be of value, I think.  
22 There are a couple of studies out there, but there could  
23 certainly be more, looking at the relevant capacity of  
24 either the cell-based vaccine or the recombinant vaccine or

1 both as compared to the egg-based vaccines in terms of  
2 their performance against H3N2.

3           If it comes to be that those vaccines are  
4 superior, and I am not saying in any sense they may be, I  
5 wouldn't be surprised if they weren't. But if they are  
6 superior, then to what extent is the FDA at all involved in  
7 moving us away from egg-based vaccines.

8           I think this only really sort of came up over the  
9 last years because it has been H3N2 dominant seasons, where  
10 previously we have had H1N1 dominant seasons, so it becomes  
11 more obvious. But it is a problem. It may be the only way  
12 to solve the problem is with cell-based or recombinant-  
13 based vaccines. But what is the FDA's role in at least  
14 encouraging the kinds of studies that would let us look at  
15 H3N2 for these two groups of vaccines?

16           DR. WEIR: I guess I think everyone would agree  
17 with you that the studies need to be done. That is a  
18 serious limitation in the data that is available. We can  
19 all speculate that one type of vaccine might work better  
20 than another. But remember, all of these were licensed  
21 based on efficacy studies.

22           So we would be very supportive of having firm,  
23 solid evidence that showed that one way or the other, and  
24 in which circumstances it might be true. For example, even  
25 in this past year, while we may speculate that one type of

1 vaccine might have worked better than another, we don't  
2 know that is true. We don't know that it would be true in  
3 every year and every situation.

4           So I think we would like data like that. If  
5 companies or any other agencies would be willing to support  
6 those type of studies to generate that type of solid data,  
7 I think we would be very supportive of it.

8           DR. GRUBER: I just want to second that there has  
9 been a lot of discussions on this issue. If we do cell-  
10 based technology sort of prevails over making influenza  
11 vaccines and tried and true very much established  
12 technology of using egg production technology. I wanted to  
13 add in our center, our scientists are looking at some data  
14 for the CMS database and Medicare and Medicaid in subjects  
15 over 65 years of age.

16           I guess they have some data to look at cell  
17 versus egg-based vaccine effectiveness. I can't really  
18 speak to this right now. The data, as I understand it, are  
19 very preliminary. I don't think that we have the subject  
20 experts in the room today to speak about this more.

21           But the point we made, people trying to look at  
22 it, FDA is trying to look at it itself. Then we will see  
23 what data we get. The issue is cell-based technology or  
24 cell-based influenza vaccines, they are young. We licensed  
25 them, what, 2012, the recombinant vaccine in 2013. So we

1 don't really have that robust of the database. But it is  
2 an issue that is under discussion and that is going to be  
3 continuing.

4 DR. EDWARDS: Dr. Katz and then Dr. Monto.

5 DR. KATZ: I would just like to say for CDC's  
6 perspective is that we know that adaptation affects H3N2  
7 viruses more than the other vaccine components. As a  
8 standalone, it is probably not the only reason that our  
9 H3N2 vaccines are more. I think this is just stating the  
10 obvious again that we really need to investigate not only  
11 whether a cell-based vaccine or a recombinant vaccine that  
12 is based on a cell-grown virus sequence, or the original  
13 virus sequence, actually provides better effectiveness. We  
14 don't know. We urgently need those studies.

15 CDC is talking to as many groups as we can to  
16 encourage those studies to occur, whether it is the private  
17 sector or our government partners. I think everybody  
18 should be looking everywhere they can. Then there is  
19 another piece to the puzzle, which is the repeated  
20 vaccination, the priming effect, which I believe  
21 anecdotally appears to look like again it is more of an  
22 effective with H3N2 viruses. So we need studies,  
23 systematic.

24 What we really need is systematic vaccine trials,  
25 where we get good serologic information on the vaccine

1 failures. Maybe this is what Arnold is going to say. But  
2 to understand why the vaccine is failing immunologically.

3 DR. MONTO: I was about to try to generalize away  
4 from the issue of only egg adaptation, which I think is a  
5 major issue right now . That is a question of which agency  
6 should be involved in this. I think all agencies of HHS  
7 need to be involved in this.

8 FDA's mission is to take data from perhaps one  
9 year and to look at the efficacy in that year, and to draw  
10 conclusions. CDC is now taking the important role of  
11 looking at this across years. We are in a different  
12 situation with influenza than with other agents, in which  
13 vaccine may or may not be constant over a period of time.  
14 Nothing is constant, but it is more constant than the  
15 situation with influenza.

16 We really need to be able to respond and then  
17 move forward. I think NIH and developing the research  
18 agenda, which has become public today, I believe, is also  
19 taking a critical role because they are the agency which  
20 really can move a lot of this forward in terms of trying to  
21 evaluate the need for neuraminidase, the question about egg  
22 adaptation, repeat vaccinations.

23 My own feeling is until we get a vaccine that  
24 doesn't have to be given every year, we are going to have  
25 issues about repeat vaccination. So I think we need a



1 broad approach, which is basically taking us back to the  
2 question of what role do we have here at the VRBPAC. That  
3 is to do what we are doing right now, to point out the  
4 needs and to try to monitor how the needs are addressed.

5 DR. FRIEDLAND: On behalf of industry, we  
6 absolutely welcome this type of dialogue. It is absolutely  
7 critical to be able to respond to the generation of solid,  
8 credible evidence to make informed evidence-based  
9 decisions. We absolutely welcome this discussion in moving  
10 science forward.

11 But it is important to mention, as was mentioned  
12 by Dr. Post, let's remember what we have today. We have  
13 vaccines, 90 percent of which are made with egg-based  
14 technology. We need to produce upwards of 155 to 165  
15 million doses a year, just for the United States. Then of  
16 course, just all around the globe, it needs to be done  
17 safely and economically with as was said, tried and true  
18 technology, which is that technology right now is mostly  
19 egg-based.

20 We want to make sure that there is confidence.  
21 The vaccines that are being used this year, as the CDC  
22 reported, and Lisa mentioned earlier today, are preventing  
23 a tremendous amount of disease, morbidity and mortality in  
24 our population. We don't want to lose sight of what we  
25 have today and then, of course, look to the future.

1 DR. BENNINK: I think the DoD study may not be  
2 that good, but at least they may be able to tease out some  
3 of that because they have such a high, in the number of how  
4 much cell-based things. But if you look at that on a  
5 different aspect and just look at the whole thing, I would  
6 have thought, and I still do think, that the cell-based  
7 would be better because it is not adding these issues.

8 But that did not increase the vaccine efficacy.  
9 It was, in fact, a couple of percent low. It is probably  
10 not statistical, but in terms of the other generalities. I  
11 would have thought that would have shown up just in the  
12 overall vaccine efficacy, as well. It doesn't appear to  
13 have done that, although it is probably not a good study  
14 that way.

15 DR. EDWARDS: Jacki, first.

16 DR. KATZ: I just wanted to comment on Dr.  
17 Friedman's point. That is egg-based vaccines are what we  
18 have now. We know that they do protect from a large  
19 disease burden. CDC is trying to increasingly phrase out  
20 communications like that. We have to be transparent. We  
21 have to provide the vaccine effectiveness data that we  
22 have.

23 But we also seek to demonstrate the disease  
24 averted and the death averted through vaccination. I think  
25 it would be great if we could all work together on some

1 messaging, so that we don't undermine the confidence of the  
2 vaccines.

3 DR. MONTO: Just to comment that the numbers in  
4 preliminary analyses bounce around. If you look at the  
5 numbers for the CDC vaccine effectiveness two weeks before  
6 the closure of consideration, they were considerably  
7 different from the ones that were actually published. I  
8 think we need to take this for what it is.

9 We see evidence that we need better vaccines. We  
10 know that we need to move forward. We need everybody to  
11 work together on the same page. We need to recognize that  
12 we need improved technology over the vaccines that were  
13 developed in 1943.

14 DR. EDWARDS: Certainly, the amount of information  
15 that we have coming to these meetings for the last three  
16 decades, when I first came, we certainly didn't have the  
17 genetic sequence of all of these. We didn't have real-time  
18 vaccine effectiveness. I think that we should applaud our  
19 scientists for what we have, but we still have a number of  
20 questions to address.

21 Any other comments? I am going to then start  
22 with Lana. We are just going to go around just giving your  
23 overall view on this, if you have any issues or questions  
24 you want to bring up. If not, you can say it looks good or  
25 whatever.

1           Then we are going to go over each of the  
2 components and vote yes or not. This is just a time we are  
3 going to go around and make comments on all of the strains  
4 if you have any. If you don't have anything that you want  
5 to say that is different, than that is fine, too. And then  
6 after going around, we are going to vote.

7           DR. FRIEDMAN: With regards to the strains and  
8 what the information that was presented today, and the real  
9 Tour de Force from Dr. Katz to help us understand the  
10 information, I feel confident in understanding why those  
11 four strains were selected by the WHO, and why they are  
12 being presented today as the four strains that we should be  
13 considered for inclusion in next year's seasonal vaccine.

14           DR. EDWARDS: Dr. Offit?

15           DR. OFFIT: This is my first time here. I just am  
16 really impressed with the quantity of information we have  
17 available in terms of worldwide surveillance to make this  
18 decision. Really, it is impressive, the public to the  
19 degree that they are sitting in the audience should also be  
20 impressed. Thanks.

21           DR. EDWARDS: Dr. Monto?

22           DR. MONTO: I wasn't around in 1943 when the  
23 influenza vaccine was developed at the University of  
24 Michigan, but almost. Again, Jackie, you have impressed us  
25 all with your comprehensive knowledge of what is going on

1 with the H3N2 viruses, which are driving everybody crazy.  
2 I think the complexity of the situation now, and the need  
3 to come up with a single strain selection, which doesn't  
4 quite answer all the needs is really testimony for what we  
5 really need to do. That is to understand better or why our  
6 vaccines are and are now working, as we would like.  
7 Basically, we have the easy job here, just taking one  
8 strain and selecting it because it is the best that we can  
9 do.

10 DR. MCINNES: I have very little to add. I echo  
11 everything that has been said. I would say after having  
12 served on this Committee for many years, this was one of  
13 the most difficult meetings we ever go to. As the data  
14 packages get stronger and stronger that get before us,  
15 somehow the decision doesn't really get any easier.

16 You are still sitting with a crystal ball, if you  
17 really only understood flu. It is a very serious decision  
18 that gets made here. I think we have been through years of  
19 sleepless nights after it and hoping we have done the right  
20 thing. This happens to be a difficult year. We learn from  
21 it, and you move on.

22 DR. EL SAHLY: I want to thank everyone who  
23 presented today and helped enlighten us on what is going on  
24 with the circulation. The issue of the crystal ball is  
25 what seems to be bothering me, especially with the big

1 component. I mean, there are all the problems with H3N2,  
2 and it has been H3N2 year. This is where more of the  
3 uncertainty lies.

4           The fact that 9 percent of the circulating B is  
5 the Victoria 2 deletion, 91 percent being the other. I am  
6 a little hesitant about the choice of the B. This is where  
7 my dilemma lies now.

8           DR. EDWARDS: I actually have the same concern to  
9 be or not to be. I think that certainly Jackie makes a  
10 very cogent point that we have seen this strain several  
11 times. People have been multiply immunized.

12           Since I am a pediatrician, I always worry about  
13 the babies or the little kids that haven't been immunized  
14 before. I guess that is one of the concerns that I have.  
15 But then obviously, if we choose wrongly, and this double  
16 deletion comes in, obviously we will be in trouble again.  
17 It is very difficult. And certainly, I respect the amazing  
18 experts at WHO and Jackie, as well.

19           DR. WHARTON: So, this is always a meeting where  
20 you get to be challenged by all the things we don't know,  
21 and we are not going to know until after things happen that  
22 haven't happened yet. Some of the concerns that we had  
23 about the H3N2 strain this year, I mean, actually there is  
24 evidence it worked pretty well in children, which is great,  
25 or at least better than we thought it might have. It is

1 reassuring to see that the recommended strain for this year  
2 appears to provide good coverage for emergent H3N2 strains.  
3 That feels good.

4           The challenge is the B strain. But the challenge  
5 always is the B strain. I don't know that I have been on  
6 the Committee quite as long as you have, but there has been  
7 a lot of conversations about B strains over the years.  
8 That is, I think, why we now use quadrivalent vaccines  
9 primarily in the United States because making these  
10 decisions about B strains was just so difficult. In fact,  
11 we were really terrible at guessing which B strain was  
12 likely to predominate.

13           I think kudos to the teams. I think the team at  
14 CDC, who identified the double deletion mutation and really  
15 allowed its identification and tracking, so that its  
16 emergent has been documented. We now have the option of  
17 including a novel B strain in a vaccine that people have  
18 not previously been vaccinated against.

19           We have the additional benefit, at least in the  
20 US, that we can continue to provide in a quadrivalent  
21 vaccine, the Yamagata strain, which in fact, was so much  
22 more common this year. I feel as good as one can feel  
23 about making these decisions when we actually have no idea  
24 what is going to happen.

1           DR. BENNINK: I think for me, as long as in terms  
2 of the strain selections themselves, as long as all of the  
3 youngest children are getting quadrivalent, it gives you a  
4 little bit more comfort with the fact that they are not  
5 getting the trivalent. I think that was better.

6           I think another comment that I will make is in  
7 terms of last year's vaccine, I will just take one step  
8 back just a second, even though we have had a bad flu year,  
9 the strains that were selected, the way that I look at this  
10 thing and see the data now, were good selections. I mean,  
11 they were as good as one could guess and make, I think, at  
12 the time. I don't think we could have done any better.

13           I am encouraged actually by the fact that  
14 particularly the half year to eight years old, it is almost  
15 60 percent effective in terms of this thing. Now, that is  
16 probably in terms of getting it right, in terms of what we  
17 are trying to do today. I think that is really, to me,  
18 good.

19           It speaks as much as anything about is the  
20 vaccine even for that group, and I will make a statement  
21 that is probably not completely true, but is more naïve  
22 completely, is that the best we can do? I would hope no.  
23 I would hope we could have something that was even better  
24 than that.



1           But the real striking thing gets to what Arnold  
2 had said and what Jackie had said. That is when you go to  
3 the next group, and you go from the 9-year-olds to the 17-  
4 year-olds, it is appalling. What we don't understand with  
5 the same vaccine, what we don't understand in terms of  
6 prior immunity, what we don't understand about these other  
7 things and what needs to be done in terms of a vaccine to  
8 be better is, in a sense, not what we are deciding today,  
9 but what we need, and as we are saying, what we all need to  
10 be working toward.

11           DR. BOK: I think a lot of the things that I had  
12 concerns on, as Hannah mentioned, I feel reassured by what  
13 Melinda educated me that all the vaccines purchased with  
14 Vaccines for Children Fund are quadrivalent. Overall, 75  
15 percent of the vaccine use in the US is quadrivalent. That  
16 is my only thing to add.

17           DR. WIESEN: A couple of quick clarifying points.  
18 Most people probably know this already, but sometimes, they  
19 get a little bit confused about what the military does and  
20 doesn't do, and how it is the same and how it is different.  
21 Yes, we have very high vaccination rates because we can  
22 require active duty to do that. We pretty much get out to  
23 about 100 percent by the end of the year.

24           Some of these people get it early. Some of them  
25 get it late. Sometimes the reason people got flu and

1 weren't yet immunized because the timing was off. It is  
2 not that they were necessarily a group of people who had an  
3 administrative or medical exemption.

4           But the other point is while we do have  
5 substantial proportion, they have different types of  
6 vaccines. And if that wanted to be looked at, we would  
7 certainly be willing to assist. We cannot force people to  
8 participate in research that has to be voluntary. So that  
9 is one thing that people sometimes misunderstand.

10           And the other thing is that the population, the  
11 median age is about 23 for the active duty. And so they  
12 are generally less susceptible to flu anyway. And often,  
13 they have been multiply immunized because they have to get  
14 it every year. And so I don't know how generalizable any  
15 data would be from that.

16           But we are certainly willing to participate from  
17 my level at the Department of Defense, we certainly want to  
18 participate. But we want you to know that there are  
19 limitations to what we can offer, as well. That is all I  
20 had. Otherwise, I had no issues with vaccine selection.

21           MR. TOUBMAN: I don't have the expertise to really  
22 question the judgment of all the folks at WHO and CDC and  
23 others, including around the table today. I do appreciate  
24 all of the contributions.

1           My only question I guess, or comment, when Dr.  
2 Katz explained the areas that really require research and  
3 response to other comments, specifically egg versus cell-  
4 based, and also the impact of previous immunization, it  
5 would be nice if there was a plan for that for doing those  
6 kinds of studies. I don't know how this group can help in  
7 that.

8           As always, there is a financial aspect of the  
9 cost of running studies. It seems like given the  
10 unfortunate, though it is doing great, also the numbers are  
11 low in terms of effectiveness. So if there was a way to  
12 come up with a plan to do those studies, I would strongly  
13 urge it. Thank you.

14           DR. EDWARDS: What we are going to do now is we  
15 are going to go over each of the questions. We are going  
16 to vote yes if we agree to that inclusion. We are going to  
17 vote no if we don't.

18           So the first will be the inclusion of 1A,  
19 inclusion of A/Michigan/45/2015 (H1N1) pandemic-like virus.  
20 Should that be included in the trivalent and quadrivalent  
21 vaccine? Can we vote now? Okay. One strand at a time,  
22 this is 1A, so inclusion of A/Michigan (H1N1) Okay. We  
23 have to read each of these. Sorry. All of the voters  
24 voted yes, Edwards, Janes, El Sahly, McInnes, Monto, Offit,  
25 Shane, Wharton, Bennink, Bok, Wiesen, and Toubman.

1           Okay. The next vote will be 1B for the inclusion  
2 of A/Singapore (H3N2)-like virus. If you want to include  
3 it, yes. If you don't, no. Can we vote now?

4           Holly?

5           DR. JANES: I vote yes.

6           DR. EDWARDS: Okay. So everyone voted yes with no  
7 abstains and no noes. Edwards, Janes, Wharton, Bennink,  
8 Bok, Wiesen, Toubman, El Sahly, McInnes, Monto, Offit and  
9 Shane.

10           1C, the inclusion of B/Colorado/2017- like virus  
11 of B/Victoria lineage, yes or no? We are ready to vote.

12           Holly?

13           DR. JANES: Yes.

14           DR. EDWARDS: Again, we have 11 yeses, one  
15 abstained. Edwards, Janes, Wharton, Bennink, Bok, Wiesen,  
16 Toubman, McInnes, Monto, Offit, Shane voted yes, and El  
17 Sahly voted to abstain.

18           Okay, the final question 2A, for the quadrivalent  
19 vaccine, the inclusion of B/Phuket/2013 as the second  
20 influenza strain in the vaccine. Yes or no?

21           Holly?

22           DR. JANES: Yes.

23           DR. EDWARDS: Okay, so we have 12 yeses, Edwards,  
24 Janes, Wharton, Bennink, Bok, Wiesen, Toubman, El Sahly,  
25 McInnes, Monto, Offit and Shane voted yes.

1           I think we have addressed all of the questions.  
2 I certainly would join in the comments that Hannah made  
3 about the excellence of the presentations. All of them  
4 were superb and we really learned a great deal.

5           Thank you all for your excellent participation.  
6 We hope that we will see a very good season next year with  
7 very little disease. Thank you.

8           CAPT HUNTER-THOMAS: Thank you, everyone. Travel  
9 home safely.

10           (Whereupon, the meeting was adjourned at 2:40  
11 p.m.)

12