

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

PHARMACY COMPOUNDING ADVISORY COMMITTEE (PCAC)

Monday, November 20, 2017

8:30 a.m. to 12:54 p.m.

Morning Session

FDA White Oak Campus
White Oak Conference Center
10903 New Hampshire Avenue
Silver Spring, Maryland

1 Meeting Roster

2 DESIGNATED FEDERAL OFFICER (Non-Voting)

3 Cindy Chee, PharmD

4 Division of Advisory Committee and

5 Consultant Management

6 Office of Executive Programs, CDER, FDA

7

8 PHARMACY COMPOUNDING ADVISORY COMMITTEE MEMBERS

9 (Voting)

10 Robin H. Bogner, PhD

11 Professor

12 University of Connecticut

13 School of Pharmacy

14 Department of Pharmaceutical Sciences

15 Storrs, Connecticut

16

17 Michael A. Carome, MD, FACP

18 *(Consumer Representative)*

19 Director of Health Research Group

20 Public Citizen

21 Washington, District of Columbia

22

1 Gigi S. Davidson, BSPH, DICVP

2 *(U.S. Pharmacopeial Convention Representative)*

3 Director, Clinical Pharmacy Services

4 North Carolina State University

5 College of Veterinary Medicine

6 Raleigh, North Carolina

7

8 Seemal R. Desai, MD, FAAD

9 *(Participation in 7-keto DHEA, astragalus,*

10 *epigallocatechin gallate, and resveratrol*

11 *discussion)*

12 President and Medical Director

13 Innovative Dermatology

14 Plano, Texas

15

16 Padma Gulur, MD

17 *(Acting Chairperson)*

18 Vice Chair, Operations and Performance

19 Duke University School of Medicine

20 Department of Anesthesiology

21 Duke University Medical Center

22 Durham, North Carolina

1 Stephen W. Hoag, PhD

2 Professor

3 Department of Pharmaceutical Science

4 University of Maryland, Baltimore

5 Baltimore, Maryland

6

7 William A. Humphrey, BSPHarm, MBA, MS

8 Director

9 Pharmacy Operations

10 St. Jude Children's Research Hospital

11 Memphis, Tennessee

12

13 Elizabeth Jungman, JD

14 Director

15 Public Health Programs

16 The Pew Charitable Trusts

17 Washington, District of Columbia

18

19

20

21

22

1 Kuldip R. Patel, PharmD

2 Associate Chief Pharmacy Officer

3 Duke University Hospital

4 Durham, North Carolina

5

6 Jurgen Venitz, MD, PhD

7 *(Participation in L-citrulline, pregnenolone,*

8 *7-keto DHEA, astragalus, and epigallocatechin*

9 *gallate discussion via phone)*

10 Professor and Vice Chairman

11 Virginia Commonwealth University

12 School of Pharmacy, Department of Pharmaceutics

13 Richmond, Virginia

14

15 Allen J. Vaida, BSc, PharmD, FASHP

16 *(Participation in L-citrulline, pregnenolone,*

17 *astragalus, epigallocatechin gallate, and*

18 *resveratrol discussion)*

19 Executive Vice President

20 Institute for Safe Medication Practices

21 Horsham, Pennsylvania

22

1 Donna Wall, PharmD

2 (National Association of Boards of Pharmacy

3 Representative-Participation via phone)

4 Clinical Pharmacist

5 Indiana University Hospital

6 Indianapolis, Indiana

7

8 PHARMACY COMPOUNDING ADVISORY COMMITTEE MEMBERS

9 (Non-Voting)

10 Ned S. Braunstein, MD

11 (*Industry Representative*)

12 Senior Vice President and Head of Regulatory Affairs

13 Regeneron Pharmaceuticals, Inc.

14 Tarrytown, New York

15

16 William Mixon, RPh, MS, FIACP

17 (*Industry Representative*)

18 Former Owner, The Compounding Pharmacy

19 Hickory, North Carolina

20

21

22

1 TEMPORARY MEMBERS (Voting)

2 Kenneth D. Burman, MD

3 *(Participation in L-citrulline, astragalus,*
4 *epigallocatechin gallate, and resveratrol*
5 *discussion)*

6 Chief, Endocrine Section

7 Medstar Washington Hospital Center

8 Professor, Department of Medicine

9 Georgetown University

10 Washington, District of Columbia

11

12 Jess G. Fiedorowicz, MD, PhD

13 *(Participation in pregnenolone discussion via*
14 *phone)*

15 Associate Professor

16 Departments of Psychiatry, Epidemiology and

17 Internal Medicine

18 University of Iowa Carver College of Medicine

19 Iowa City, Iowa

20

21

22

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Padma Gulur, MD	10
5	Conflict of Interest Statement	
6	Cindy Chee, PharmD	16
7	FDA Introductory Remarks	
8	Julie Dohm, JD, PhD	22
9	Section 503A Bulk Drug Substances	
10	FDA Presentation	
11	L-citrulline	
12	Susan Johnson, PharmD, PhD	24
13	Clarifying Questions from Committee	34
14	Nominator Presentation - PCCA	
15	A.J. Day, PharmD	35
16	Clarifying Questions from Committee	37
17	Committee Discussion and Vote	40
18	FDA Presentation	
19	Pregnenolone	
20	Wafa Harrouk, PhD	58
21	Clarifying Questions from Committee	77
22		

	C O N T E N T S (continued)	
	AGENDA ITEM	PAGE
1		
2		
3	Nominator Presentation - PCCA	
4	A.J. Day, PharmD	93
5	Clarifying Questions from Committee	112
6	Open Public Hearing	122
7	Committee Discussion and Vote	162
8	FDA Presentation	
9	7-Keto dehydroepiandrosterone	
10	Susan Johnson, PharmD, PhD	172
11	Clarifying Questions from Committee	179
12	Nominator Presentation - Fagron	
13	Tom Wynn, RPh	182
14	Clarifying Questions from Committee	198
15	Committee Discussion and Vote	205
16	Adjournment	211
17		
18		
19		
20		
21		
22		

1 P R O C E E D I N G S

2 (8:30 a.m.)

3 Call to Order

4 Introduction of Committee

5 DR. GULUR: Good morning, everyone. I would
6 first like to remind everyone present to please
7 silence your cell phones, Blackberries, and other
8 devices if you have not already done so. I would
9 also like to identify the FDA press contact for
10 this open-session meeting, Ms. Lyndsay Meyer. If
11 you are present, please stand.

12 Good morning. My name is Padma Gulur. I am
13 the acting chairperson of the Pharmacy Compounding
14 Advisory Committee, otherwise referred to as PCAC.
15 I will now call the committee to order.

16 We will now ask those at the table,
17 including FDA staff and the committee members, to
18 introduce themselves starting with the FDA
19 representative to my far left and moving along to
20 right side, ending with one of the industry
21 representatives, Dr. Ned Braunstein.

22 DR. DOHM: Hi. My name is Julie Dohm, and

1 I'm the agency lead on compounding.

2 MS. BORMEL: I'm Gail Bormel from the Office
3 of Unapproved Drugs and Labeling Compliance in
4 CDER's Office of Compliance.

5 DR. LAWSON: I'm Rosilend Lawson, also from
6 the Office of Unapproved Drugs and Labeling
7 Compliance in CDER compliance.

8 MS. ROTHMAN: Sara Rothman, CDER's Office
9 of Unapproved Drugs and Labeling Compliance.

10 DR. GANLEY: Charlie Ganley from the Office
11 of New Drugs in CDER.

12 DR. JOHNSON: Sue Johnson, Office of Drug
13 Evaluation IV, Office of New Drugs, CDER.

14 DR. FURLONG: Good morning. I'm Leslie
15 Furlong, deputy director, Office of Drug
16 Evaluation IV in CDER.

17 DR. HARROUK: My name is Wafa Harrouk. I'm
18 a pharmacologist in ODE IV, CDER.

19 DR. BURMAN: Ken Burman, chief of
20 endocrinology at MedStar Washington Hospital Center
21 and professor at Georgetown University.

22 DR. VAIDA: Allen Vaida, a pharmacist at the

1 Institute for Safe Medication Practices.

2 DR. GULUR: Dr. Venitz, are you on the
3 phone?

4 DR. VENITZ: Yes. Jurgen Venitz, clinical
5 pharmacologist, Virginia Commonwealth University.

6 DR. CHEE: Cindy Chee, DFO for PCAC.

7 MS. DAVIDSON: Gigi Davidson. I represent
8 the United States Pharmacopeia.

9 MR. HUMPHREY: William Humphrey. I'm the
10 director of pharmacy operations at St. Jude
11 Children's Research Hospital.

12 DR. PATEL: Kuldip Patel, associate chief
13 pharmacy officer at Duke University Hospital.

14 DR. BOGNER: Robin Bogner, professor of
15 pharmaceuticals, University of Connecticut.

16 DR. GULUR: We're just going to step back
17 here.

18 Dr. Wall, are you on the phone?

19 DR. WALL: Yes. I'm on the phone.

20 DR. GULUR: Could you introduce yourself?

21 DR. WALL: Donna Wall. I represent NABP.
22 I'm a pharmacist at Indiana University Hospital in

1 Indianapolis, Indiana.

2 MS. JUNGMAN: Elizabeth Jungman. I direct
3 public health programs at the Pew Charitable Trust.

4 DR. HOAG: Hello. I'm Steve Hoag. I'm a
5 professor at the University of Maryland School of
6 Pharmacy.

7 DR. CAROME: I'm Mike Carome, director of
8 Public Citizens Health Research Group.

9 MR. MIXON: Bill Mixon, compounding
10 pharmacist from Hickory, North Carolina, non-voting
11 industry member.

12 DR. BRAUNSTEIN: Ned Braunstein. I'm senior
13 vice-president for regulatory affairs and safety at
14 Regeneron Pharmaceuticals, and I'm the non-voting
15 industry representative.

16 DR. GULUR: Thank you, everyone.

17 For topics such as those being discussed at
18 today's meeting, there are often a variety of
19 opinions, some of which are quite strongly held.
20 Our goal is that today's meeting will be a fair and
21 open forum for discussion of these issues and that
22 individuals can express their views without

1 interruption. Thus, as a reminder, individuals
2 will be allowed to speak into the record only if
3 recognized by the chair. We look forward to a
4 productive meeting.

5 In the spirit of the Federal Advisory
6 Committee Act and the Government in the Sunshine
7 Act, we ask that the advisory committee members
8 take care that their conversations about the topic
9 at hand take place in the open forum of the
10 meeting.

11 We are aware that members of the media are
12 anxious to speak with the FDA about these
13 proceedings. However, FDA will refrain from
14 discussing the details of this meeting with the
15 media until its conclusion. Also, the committee is
16 reminded to please refrain from discussing the
17 meeting topic during breaks or lunch.

18 Today, we will cover six bulk drug
19 substances nominated for inclusion on the list of
20 bulk drug substances that may be used to compound
21 drugs in accordance with Section 503A of the Food,
22 Drug, and Cosmetic Act: L-citrulline, pregnenolone,

1 7-keto-dehydroepiandrosterone, astragalus,
2 epigallocatechin gallate, and resveratrol.

3 For each of the six substances, we will hear
4 presentations from the FDA, ask clarifying
5 questions, hear nominators' presentations, ask
6 clarifying questions, hold an open public hearing,
7 and have committee discussion and voting.

8 As described in the October 26, 2017 Federal
9 Register notice, the committee will be discussing
10 six bulk drug substances nominated for inclusion on
11 the Section 503A bulks list. The Federal Register
12 notice identified the uses FDA reviewed for each of
13 the six bulk drug substances being discussed at
14 this meeting.

15 In addition, the nominations and FDA's
16 reviews for the bulk drug substances, which are
17 included in the briefing document posted on FDA's
18 website, identified the proposed and reviewed uses,
19 dosage forms, and routes of administration.

20 The nominators of these substances have been
21 invited to make a short presentation supporting
22 their nomination. To the extent that the

1 nominators' presentations include information about
2 additional uses, dosage forms, and routes of
3 administration, I remind the committee that these
4 additional uses, dosage forms, and routes of
5 administration are not part of the agency's review
6 because the nominators either did not nominate
7 those uses, dosage forms, and routes of
8 administration, or they were not adequately
9 supported.

10 Let us begin. We will now have Dr. Cindy
11 Chee read the conflict of interest statement.

12 Conflict of Interest Statement

13 DR. CHEE: The Food and Drug Administration
14 is convening today's meeting of the Pharmacy
15 Compounding Advisory Committee under the authority
16 of the Federal Advisory Committee Act of 1972.
17 With the exception of the National Association of
18 the Board of Pharmacy, the United States
19 Pharmacopeia, and of the industry representatives,
20 all members and temporary voting members of the
21 committee are special government employees or
22 regular federal employees from other agencies and

1 are subject to federal conflict of interest laws
2 and regulations.

3 The following information on the status of
4 this committee's compliance with the federal ethics
5 and conflict of interest laws, covered by but not
6 limited to those found at 18 U.S.C. Section 208, is
7 being provided to participants in today's meeting
8 and to the public.

9 FDA has determined that members and
10 temporary voting members of this committee are in
11 compliance with the federal ethics and conflict of
12 interest laws. Under 18 U.S.C., Section 208,
13 Congress has authorized FDA to grant waivers to
14 special government employees and regular federal
15 employees who have potential financial conflicts
16 when it is determined that the agency's need for a
17 special government employee's services outweighs
18 his or her potential financial conflict of interest
19 or when the interests of a regular federal employee
20 is not so substantial as to be deemed likely to
21 affect the integrity of the services, which the
22 government may expect from the employee.

1 Related to the discussion of today's
2 meeting, members and temporary voting members of
3 this committee have been screened for potential
4 financial conflicts of interest of their own, as
5 well as those imputed to them, including those of
6 their spouses or minor children, and for purposes
7 of 18 U.S.C. Section 208, their employers.

8 These interests may include investments,
9 consulting, expert witness testimony, contracts,
10 grants, CRADAs, teaching, speaking, writing,
11 patents and royalties, and primary employment.

12 During this meeting, the committee will
13 discuss six bulk drug substances nominated for
14 inclusion on the Section 503A bulks list. FDA will
15 discuss the following nominated bulk drug
16 substances and the uses FDA reviewed: astragalus
17 for allergic rhinitis; asthma, diabetes, herpes
18 simplex keratitis, wound healing; L-citrulline for
19 hyperammonemia due to cycle disorders; pregnenolone
20 for rheumatoid arthritis, hypercholesterolemia,
21 manic and depressive symptoms of bipolar disorder,
22 and bipolar disorder with substance abuse, dual

1 diagnosis, positive and negative symptoms of
2 schizophrenia; 7-keto-dehydroepiandrosterone for
3 weight loss and in Raynaud's phenomena;
4 epigallocatechin gallate for treatment of obesity,
5 wound healing, corneal neovascularization, non-
6 alcoholic fatty liver disease, cardiac hypertrophy,
7 diabetes type 1 and 2, and Parkinson's disease; and
8 resveratrol for treatment of older adults with
9 impaired glucose tolerance and pain.

10 The nominators of these substances will be
11 invited to make a short presentation supporting the
12 nomination.

13 This is a particular matters meeting, during
14 which specific matters related to the six bulk drug
15 substances will be discussed. Based on the agenda
16 for today's meeting and all financial interests
17 reported by the committee members and temporary
18 voting members, no conflict of interest waivers
19 have been issued in connection with this meeting.

20 We would like to note that Dr. Allen Vaida
21 has been recused from participating in the
22 discussions and voting for the 7-keto-DHEA session

1 of the meeting. To ensure transparency, we
2 encourage all standing committee members and
3 temporary voting members to disclose any public
4 comments that they have made concerning the bulk
5 drug substances.

6 We would like to note that Dr. Donna Wall is
7 a representative member from the National
8 Association of the Board of Pharmacy and Ms. Gigi
9 Davidson is a representative member from the United
10 States Pharmacopeia.

11 Section 102 of the Drug Quality and Security
12 Act amended the Federal Food, Drug, and Cosmetic
13 Act with respect to the advisory committee on
14 compounding to include representatives from the
15 NAPB and the USP. Their role is to provide the
16 committee with the points of view of the NAPB and
17 the USP.

18 Unlike the other members of the committee,
19 representative members are not appointed to the
20 committee to provide their own individual judgment
21 in the particular matters at issue. Instead, they
22 serve as the voice of the NAPB and USP, entities

1 with financial or other stakes in the particular
2 matters before the advisory committee.

3 With respect to FDA's invited industry
4 representatives, we would like to disclose that
5 Dr. Ned Braunstein and Mr. William Mixon are
6 participating in this meeting as non-voting
7 industry representative, acting on behalf of
8 regulated industry. Their role at this meeting is
9 to represent industry in general and not any
10 particular company. Dr. Braunstein is employed by
11 Regeneron Pharmaceuticals, and Mr. Mixon is
12 employed by the Compounding Pharmacy.

13 We would like to remind members and
14 temporary voting members that if the discussions
15 involve any other bulk drug substances not already
16 on the agenda for which an FDA participant has a
17 personal or imputed financial interest, the
18 participants need to exclude themselves from such
19 involvement, and their exclusion will be noted for
20 the record.

21 FDA encourages all other participants to
22 advise the committee of any financial relationships

1 that they may have with the topic at issue that
2 could be affected by the committee's discussions.
3 Thank you.

4 DR. GULUR: We will now proceed with FDA
5 introductory remarks from Dr. Julie Dohm, who is
6 the agency lead on compounding for the FDA.

7 FDA Introductory Remarks - Julie Dohm

8 DR. DOHM: Thank you. I'd like to welcome
9 everyone to the eighth meeting of the Pharmacy
10 Compounding Advisory Committee. Today, we will
11 discuss six bulk drug substances nominated for
12 inclusion on the list of bulk drug substances that
13 can be used in compounding under Section 503A.
14 They are L-citrulline, pregnenolone, 7-keto-DHEA,
15 astragalus extract 10:1, EGCg, and resveratrol.

16 Tomorrow, we will present two categories of
17 drug products nominated for placement on the list
18 of drug products that cannot be compounded under
19 Sections 503A or 503B because they present
20 demonstrable difficulties for compounding,
21 liposomal drug products and drugs produced using
22 hot melt extrusion.

1 As in the May meeting, we have scheduled
2 time for the nominators to speak and time for an
3 open public hearing after each topic. I would also
4 like to use this opportunity to provide you with an
5 update on a document issued by the agency since the
6 committee last met in May in response to
7 stakeholder feedback that is pertinent to one of
8 the topics discussed during a PCAC meeting.

9 In July, FDA published a notice to establish
10 a new public docket so that interested parties can
11 nominate drug products or categories of drug
12 products for inclusion on the difficult-to-compound
13 list that were not previously nominated, resubmit
14 previous nominations with additional supporting
15 information, or submit comments.

16 Nominations and comments may be made to this
17 docket at any time. We will present new
18 nominations to the advisory committee for
19 discussion as we evaluate them. The Federal
20 Register notice opening this docket appears on the
21 FDA's compounding website under the section titled
22 Regulatory Policy Information.

1 Again, thank you for your participation on
2 the Pharmacy Compounding Advisory Committee. We
3 look forward to a productive meeting and to
4 continuing to work together. Thank you.

5 DR. GULUR: Thank you, Dr. Dohm.

6 I would like to remind public observers at
7 this meeting that while this meeting is open for
8 public observation, public attendees may not
9 participate except at the specific request of the
10 committee.

11 We will now proceed with an FDA presentation
12 on L-citrulline from Dr. Johnson.

13 FDA Presentation - Susan Johnson

14 DR. JOHNSON: Good morning. Thanks for
15 joining us. While the turkey is defrosting at
16 home, we appreciate you fitting us into your busy
17 holiday schedules.

18 My name is Susan Johnson, and I'm from the
19 Office of Drug Evaluation IV in CDER's Office of
20 New Drugs. The first nominated substance that
21 we'll be discussing is L-citrulline.

22 I'd like to thank members of the review

1 team, especially review staff in the Division of
2 Gastroenterology and Inborn Error Products, and
3 Dr. Jarow from the Center Director's office.

4 L-citrulline has been nominated for
5 inclusion on the 503A list and has been proposed
6 for oral use in the treatment of urea cycle
7 disorders or UCDs. L-citrulline is a non-essential
8 amino acid that's used in the body in the
9 L enantiomer form. It is a well-characterized
10 substance that is soluble in water. It is likely
11 to be stable as a solid or liquid under ordinary
12 storage conditions.

13 L-citrulline is primarily produced by
14 fermentation, but can also be synthesized. The
15 synthesis reaction is complex and involves
16 potentially toxic reagents. As noted in the
17 review, compounders should use the information
18 about impurities identified in the certificate of
19 analysis accompanying the bulk drug substance to
20 evaluate any potential safety, efficacy, or quality
21 issues.

22 In conclusion, L-citrulline is well

1 characterized and likely to be stable under
2 ordinary storage conditions as a solid or liquid
3 for oral dosing.

4 We usually discuss the history of use of a
5 nominated substance toward the end of our
6 presentations, but before we delve into the safety
7 and efficacy of L-citrulline, we wanted to clarify
8 that it has been used to treat certain UCDs for at
9 least 30 years.

10 L-citrulline is currently available as a
11 dietary ingredient in dietary supplement products.
12 We don't have sufficient information to discern the
13 extent to which L-citrulline is compounded, but the
14 UCD population includes neonates, and it's likely
15 therefore that the marketed dietary supplement
16 products will not meet their dosing needs.

17 There are published practice guidelines that
18 support L-citrulline's use in the treatment of
19 these rare diseases. L-citrulline is found in many
20 foods and is not considered an essential amino acid
21 because it can be synthesized endogenously in
22 healthy humans.

1 It's an intermediate in the urea cycle,
2 which is the predominant process in humans for
3 nitrogen disposal. L-citrulline has other
4 functions in the body. For instance, it's a
5 precursor for L-arginine, which is in turn a
6 precursor for nitric oxide, which has various
7 cardiovascular activities.

8 This is a diagram of the urea cycle that
9 functions in two subcellular compartments, the
10 mitochondrial matrix and the cytosol to convert
11 ammonia into urea that is excreted by the kidney.
12 We'll look at the urea cycle again as we discuss
13 the efficacy of L-citrulline.

14 Non-clinical pharmacokinetic data has shown
15 that intravenous doses of L-citrulline pass through
16 the placenta to the fetus in sheep. The
17 pharmacokinetics of L-citrulline following oral
18 dosing in healthy adults so that it's absorbed,
19 produces a dose-dependent AUC, and has a short
20 elimination half-life. Levels of arginine are also
21 observed to increase following L-citrulline dosing.

22 Acute and repeat dose non-clinical safety

1 studies showed little toxicity at doses up to
2 830 milligrams per kilogram. We were not able to
3 find non-clinical genotoxicity or carcinogenicity
4 studies or developmental and reproductive toxicity
5 studies for L-citrulline.

6 Looking at clinical safety and voluntary
7 adverse events, the FAERS system provided 15 unique
8 reports. Of these, 9 reported events were
9 associated with a subpotent batch of L-citrulline
10 as a bulk chemical. The potency issue was detected
11 after 2 UCD patients unexpectedly experienced
12 hyperammonemia within a short period of time while
13 receiving L-citrulline therapy with a product from
14 the same supplier. The L-citrulline product that
15 the patients had received was assayed, found to
16 contain no L-citrulline, and was recalled. Details
17 of this event were described in a published
18 article.

19 There were 332 reports from the CAERS
20 system. Although 3 deaths and multiple serious
21 reactions were reported, causality assessment is
22 not possible due to the use in each case of

1 multiple products or products containing multiple
2 ingredients.

3 We found no clinical trials of safety or
4 efficacy in UCDs. However, we found many
5 publications describing the use of gram quantities
6 of L-citrulline to treat UCDs, and none of these
7 publications described associated toxicities.

8 We found one clinical trial of the use of
9 oral L-citrulline in the treatment of erectile
10 dysfunction. Twenty-four patients were treated
11 with 1.5 grams of oral L-citrulline daily for a
12 month, and no adverse reactions were reported.

13 In conclusion, we have limited safety
14 information from non-clinical and clinical trials,
15 so long-term issues in particular cannot be ruled
16 out. However, decades of clinical use in the
17 treatment of UCDs has not been associated with
18 known safety concerns.

19 Turning to the efficacy of L-citrulline for
20 the treatment of urea cycle disorders, UCDs are a
21 group of rare diseases, predominantly enzyme
22 deficiencies, that are due to inborn errors. An

1 estimate of accumulated incidence of all 8 UCDs is
2 1 in 35,000 births in the U.S. Some of the
3 diseases have an incidence of lower than 1 in 2
4 million live births.

5 The onset of UCDs is often in the neonatal
6 period. In these cases, there is complete or near
7 complete enzyme deficiency. Estimates of incidents
8 are known to be unreliable, as infants with UCDs
9 often develop severe symptoms rapidly, and the
10 disorders can be fatal before the infant can be
11 diagnosed. When diagnosed in older children and
12 adults, UCDs are associated with partial enzyme
13 deficiencies in which alternative metabolic
14 pathways have provided compensation.

15 Hyperammonemia is the principal sign of
16 UCDs. The differential diagnosis is intended to
17 elucidate the pattern of related signs and symptoms
18 that match a particular enzyme deficiency. FDA's
19 efficacy assessment considered those UCDs that are
20 amenable to L-citrulline therapy based on a
21 mechanistic rationale and current treatment
22 protocols.

1 Here's the urea cycle that we saw earlier,
2 and we're focusing on the impact on L-citrulline of
3 the various components of this cycle. Deficiencies
4 in the enzymes active in the mitochondrial matrix
5 such as N-acetyl glutamate synthetase result in
6 decreased production of citrulline precursors, and
7 in turn, citrulline. Similarly, deficiency of
8 carbamoyl phosphate synthetase 1 produces a
9 reduction in citrulline, as does a deficiency in
10 ornithine transcarbamylase.

11 The urea cycle cannot function unless there
12 is ornithine in the mitochondria. In HHH syndrome,
13 there is a defect in the mitochondrial ornithine
14 transporter, resulting in hyperornithinemia,
15 hyerammonemia, and homocitrullinuria, which is
16 urinary excretion of homocitrulline.

17 Certain other UCDs are associated with
18 increased plasma levels of citrulline and are not
19 therefore amenable to L-citrulline supplementation.
20 One example is argininosuccinate synthetase
21 deficiency, also called citrullinemia type 1.
22 Citrullinemia type 2 is caused by a deficiency of

1 the amino acid transporter citrullinemia. As with
2 citrullinemia type 1, it is not appropriate to
3 introduce exogenous citrulline as part of therapy.

4 To help clarify the role of L-citrulline, we
5 wanted to provide an overview of the treatment of
6 UCDs. The primary goal is to reduce hyperammonemia
7 and prevent its reoccurrence. Nitrogen scavengers
8 facilitate clearance of circulating ammonia, and it
9 includes sodium benzoate, sodium phenylacetate, and
10 sodium phenylbutyrate. These are available in FDA-
11 approved products.

12 Treatment also includes limiting dietary
13 intake of certain amino acids in conjunction with
14 supplementation of other amino acids. As we've
15 discussed, the choice to use L-citrulline in
16 contrast to L-arginine is dependent on the specific
17 UCD deficiency. L-arginine is approved in an FDA
18 injectable product. L-citrulline is currently
19 available as a dietary ingredient in dietary
20 supplement products and is compounded. Carglumic
21 acid is an NAG analogue that is FDA approved for
22 use in NAG synthetase deficiency.

1 To summarize, oral L-citrulline is a
2 standard of care in certain urea cycle disorders.
3 Based on the strong mechanistic rationale and
4 decades of successful treatment, there are
5 published dosing recommendations to guide
6 therapeutic use. While we found no clinical trials
7 that evaluated the use of L-citrulline in treatment
8 of UCDs, we conclude that oral L-citrulline is
9 effective in the treatment of certain urea cycle
10 disorders.

11 We also want to identify that there is
12 information in the public domain that an IV
13 L-citrulline product is currently being studied
14 under an IND for use during pediatric congenital
15 heart surgery to decrease cardiovascular and
16 pulmonary morbidity.

17 L-citrulline is well characterized and
18 stable. It has at least a 30-year history of being
19 compounded for use in the treatment of UCDs. We
20 acknowledge the lack of non-clinical information
21 regarding potential long-term effects of treatment
22 of L-citrulline. However, in its length of history

1 of clinical use in serious rare diseases, it has
2 not been associated with significant adverse
3 events.

4 In the absence of clinical trials,
5 successful treatment with certain urea cycle
6 disorders is reliably achieved with L-citrulline.
7 A balancing of the four evaluation criteria weighs
8 in favor of L-citrulline for oral administration
9 being added to the list of bulk drug substances
10 that can be used for compounding under
11 Section 503A.

12 I'm happy to take any of your questions.

13 Clarifying Questions from the Committee

14 DR. GULUR: At this time, we will accept
15 clarifying questions from the committee. We ask
16 that you limit your questions to clarifications
17 only. Members will have further opportunity for
18 discussion and questions after we have heard all of
19 the presentations. Any questions?

20 (No response.)

21 DR. GULUR: Any questions from our members
22 on the phone?

1 (No response.)

2 DR. JOHNSON: Thank you, Dr. Johnson.

3 DR. GULUR: We will now proceed with the
4 nominator presentations. We have one present,
5 Dr. A.J. Day from PCCA.

6 Nominator Presentation - A.J. Day

7 DR. DAY: Good morning. My name is A.J. Day
8 with PCCA. As a conflict of interest statement,
9 PCCA is a wholesaler that does provide L-citrulline
10 powder to the compounding industry.

11 I'd like to thank FDA for the thorough
12 review of the data behind L-citrulline. One of the
13 things that were mentioned in the briefing document
14 from the FDA was the source of L-citrulline. The
15 material that PCCA utilizes comes from an FDA-
16 registered and inspected CGMP facility, and the
17 mechanism of production is by the fermentation
18 pathway, which was discussed previously.

19 The common dosage range that's used
20 clinically is around 500 to 650 milligrams, but of
21 course this is weight-based dosing. The reason
22 that this needs to be compounded is because, as

1 Dr. Johnson mentioned, many of these patients are
2 neonates and pediatric patients, so getting the
3 appropriate dose into a dosage form that they can
4 swallow is very important.

5 Sometimes these are going via tubes. Very
6 often these patients have metabolic disorders, so
7 their ability to process multiple ingredients is
8 oftentimes impaired, and they need a lot of
9 different supplementations. So we're limited under
10 the amount of volume of medications that they can
11 have to begin with. So that's really the
12 population for whom compounding L-citrulline is
13 necessary.

14 Because of the uniqueness of the urea cycle
15 disorders, the physicians who specialize in this
16 are relatively limited and so are the pharmacists.
17 So there may be pharmacies in certain pockets of
18 the country who do this and others who are
19 completely not familiar with it. Because of that
20 ability to specialize and being very cognizant of
21 the concerns and the appropriate types of urea
22 cycle disorders, only a handful of pharmacies

1 across the country may be appropriate to make
2 these.

3 So that becomes relevant for another
4 component of the FDA's implementation of the Drug
5 Quality and Security Act, which is the memorandum
6 of understanding and how we can get this medication
7 from those specialty pharmacies to patients across
8 the country.

9 With that, I will conclude my incredibly
10 brief presentation.

11 Clarifying Questions from the Committee

12 DR. GULUR: We will now entertain clarifying
13 questions from the nominator from the committee.
14 Dr. Burman?

15 DR. BURMAN: Just a clarification of the
16 dose. You said 500 to 650 milligrams, and then
17 said something about it's changed based on the
18 weight of the infant or the child. Could you speak
19 a little more about that?

20 DR. DAY: In preparation for this
21 discussion, I contacted some of the pharmacies who
22 are compounding this to find out what kind of

1 common doses they're utilizing. And they gave me
2 that as a range of some of the typical
3 prescriptions that they'd seen, but they had the
4 very strong caveat that that's based off of the
5 weight of the patients for whom it's being
6 prescribed.

7 So they do see things that are outside of
8 that range, but if I needed to express a common
9 dosage, that range is where they see a lot of the
10 prescriptions falling.

11 DR. GULUR: Dr. Vaida?

12 DR. VAIDA: This was originally put up for
13 IV use, the original some of the submissions, and
14 then it was changed to oral. In your review of
15 compounding pharmacies, have you found any that
16 still use an IV? The FDA reported that they had
17 some uses when they actually went on the internet.

18 DR. DAY: I am not aware, and I have not
19 been able to find any indications of IV
20 utilization. I've not found any formulas or
21 promotions of IV use in my search. The original
22 nomination that mentioned IV use was -- again, we

1 have this conversation, I feel like, at most of
2 these PCAC meetings.

3 When the nominations were first asked for,
4 it was for all the potential uses. And upon
5 finding the IND that is on file with the FDA -- and
6 there is clinical research out there about IV
7 utilization -- that was included as a potential
8 use.

9 In our review of the materials for really
10 practical uses, what is it currently being
11 compounded for, there's no indication that it's
12 being utilized for any kind of sterile formulations
13 at all.

14 DR. GULUR: Any questions from our members
15 on the phone?

16 (No response.)

17 DR. GULUR: I have one further question as
18 well. Would you like to comment on the subpotent
19 cases that were there, that were reported with
20 L-citrulline and how in your process those reinsure
21 that does not happen?

22 DR. DAY: So my opinion of that is that it

1 comes down to the quality mechanisms from the
2 manufacturer and the wholesaler. And something in
3 that process seems to have broken down in that
4 issue, where it was tested and found not to contain
5 L-citrulline in the containers that were marked
6 L-citrulline.

7 So that was an issue of the quality chain
8 previous to the pharmacy receiving their materials,
9 and that's an issue I feel is under regulation with
10 FDA in the supply chain. And that's something
11 that, at PCCA, we actually have a process
12 internally for our QA/QC, where every single batch
13 of every single chemical, including L-citrulline,
14 gets quarantined until it goes through a full
15 analysis, including IR spec, to identify any
16 potential impurities, to make sure that whatever
17 we're receiving matches the standard.

18 DR. GULUR: Thank you, Dr. Day.

19 DR. DAY: Thank you.

20 Committee Discussion and Vote

21 DR. GULUR: We are now convening the open
22 public hearing for this. We do not have any open

1 public hearing speakers. The open public hearing
2 portion of this meeting has now concluded, and we
3 will no longer take comments from the audience.

4 We will now begin the panel discussion of
5 L-citrulline. Any comments?

6 DR. CAROME: Mike Carome. Just to follow up
7 on the question you just asked and redirected to
8 the FDA, in those cases, were those dietary
9 supplemental formulations associated with those
10 subpotent formulations, or were they compounded
11 products?

12 DR. JOHNSON: The literature article that
13 was published in association with this eventually
14 found -- of course, their interests were in
15 treating children who needed the L-citrulline and
16 finding that it was not serving the purpose because
17 the children developed hyperammonemia unexpectedly
18 after being stabilized on L-citrulline treatment.

19 But eventually, the follow-up led to the
20 fact that the bulk substance that was in the bottle
21 was not L-citrulline. The error apparently had
22 come at the bulk substance manufacturer's end of

1 things, and they had actually put a different amino
2 acid in the bottle labeled L-citrulline.

3 DR. VENITZ: I have a follow-up question.

4 DR. GULUR: Yes, Dr. Venitz?

5 DR. VENITZ: Dr. Day mentioned that they
6 [indiscernible] of the manufacturing of
7 L-citrulline? Can FDA comment on that, on how many
8 manufacturing sites do you have in the U.S.?

9 DR. GULUR: How many manufacturing sites do
10 we have in the U.S.? Dr. Venitz, is that your
11 question?

12 (No response.)

13 DR. JOHNSON: I think Dr. Day might be
14 better able to answer that.

15 DR. DAY: I cannot speak to the number of
16 manufacturing sites for this product. I can only
17 speak about the supplier that we utilize, the
18 manufacturer that we utilize. They're a Japanese
19 company who has an office, a manufacturing facility
20 in the U.S., and I can't say specifically where
21 this product is initiated and fully produced.

22 DR. GULUR: Thank you, Dr. Day. Dr. Nixon?

1 MR. MIXON: Do you know, Dr. Johnson, if the
2 certificate of analysis for that subpotent
3 preparation listed the product or stated the
4 product was L-citrulline when in reality it wasn't?

5 DR. JOHNSON: I don't know if the published
6 article contained that information. I have it with
7 me, and I can check.

8 MR. MIXON: Thank you.

9 DR. GULUR: Dr. Burman?

10 DR. BURMAN: Maybe for Dr. Day, could you
11 just make sure that I understand or we understand
12 the potential impurities when you prepare
13 L-citrulline by fermentation?

14 DR. DAY: The extent of my familiarity with
15 the impurities was outlined in the presentation
16 from Dr. Johnson. I don't know if she has more
17 details to provide than that. She may be looking
18 up the previous question about the certificate of
19 analysis.

20 DR. GULUR: Thank you, Dr. Day. Dr. Ganley?

21 DR. GANLEY: Yes. The one thing that we
22 need to clarify is that the certificate of analysis

1 is very important to the compounding pharmacist
2 because the bulk substance is supposed to be
3 produced by or manufactured by an FDA-registered
4 facility, so the compounding pharmacist depends a
5 lot on the accuracy of that information.

6 Where it may become difficult for a
7 compounding pharmacy is if, for example, there are
8 heavy metals in the production, yet they may not be
9 required to list them specifically, but just a
10 cumulative amount.

11 So the compounding pharmacist, if they see,
12 for example, heavy metals for much larger amounts
13 than usually allowed under USP, they should be
14 asking questions of the manufacturer. So that's
15 where there is an important relationship between
16 the compounding pharmacy and the bulk manufacturer.

17 DR. GULUR: Thank you, Dr. Ganley.

18 Just as a further clarification,
19 Dr. Johnson, is it our understanding that this
20 subpotent report was off of a published article?
21 Was there an actual FDA review of that incident?

22 DR. JOHNSON: There was, and there's

1 information on our website about their recall as
2 well. So the certificate of analysis of the
3 L-citrulline product that the patient received
4 through the hospital pharmacy stated 99.88 percent
5 pure L-citrulline and was supplied by a national
6 compounding supplier. That's what the article
7 says.

8 DR. GULUR: Yes, Dr. Davidson?

9 MS. DAVIDSON: In the absence of a USP
10 substance monograph, which is why we're having the
11 discussion about this particular substance, where
12 would a pharmacist determine acceptable standards,
13 looking at the certificate of analysis? Would they
14 consult the USP chapters on impurities in general?

15 DR. GANLEY: I think that's a good question
16 and that's one of the things that we're trying to
17 look into a little further as to what is best
18 practices for compounding pharmacies.

19 So obviously, when someone manufactures
20 something, there may be differences in the
21 processes. So the certificate of analysis from
22 different manufacturers would look different,

1 particularly for the impurities. And one option
2 would be to follow USP chapters, for example, on
3 heavy metals, and then ask the manufacturers
4 specific questions regarding that, what may be
5 those heavy metals that are not specific in the
6 certificate of analysis.

7 But that's where the relationship is
8 important between the compounding pharmacy, and
9 they know that they're going to a reputable
10 manufacturer, and that there has to be a
11 relationship there. I think that's the burden on
12 the compounding pharmacy, to be sure that they know
13 what questions to ask and also be confident in
14 their manufacturer.

15 We've talked internally, and we can talk to
16 Dr. Day a little bit. We were hoping to put out
17 some guidance to compounding pharmacists for best
18 practices and what are some of the things you need
19 to think about when you're going to a manufacturer.

20 MS. DAVIDSON: Maybe I can ask Dr. Day this
21 question. So when PCCA produces a certificate of
22 analysis for the citrulline that you're selling, in

1 my experience, when you look at a certificate of
2 analysis, it says that the substance complies with
3 something. What is the something with which your C
4 of A complies with?

5 DR. DAY: The original certificate of
6 analysis is produced by the manufacturer. The
7 parameters that they're testing, I don't have
8 specific information on how they develop the
9 parameters for each individual substance.

10 My belief is that it follows a pathway of
11 logic, so such as looking at the potential
12 impurities, what are the levels that are generally
13 accepted in similar products? And a lot of
14 this -- for example, L-citrulline would be
15 influenced from the dietary supplement industry, so
16 what's allowable under oral utilization may be a
17 significant factor in that decision as well.

18 At PCCA, we are doing a number of tests to
19 quantitatively and qualitatively compare and verify
20 the information that is on a C of A. We don't take
21 the C of A at face value for reasons such as
22 Dr. Johnson showed, where the material that you

1 receive in that C of A, what if there's a mistake
2 there? So we need to make sure that we're able to
3 catch that as well as the wholesaler.

4 DR. GULUR: Any further questions? Yes,
5 Dr. Bormel?

6 MS. BORMEL: I just had a question for
7 Dr. Day. Does that qualitative analysis also
8 include identity testing of the bulk?

9 DR. DAY: It does.

10 MS. BORMEL: Thank you.

11 DR. GULUR: Dr. Hoag?

12 DR. HOAG: I was just going to make a
13 comment. People talk about the relationship
14 between the manufacturer and the compounding
15 pharmacist, but I bet that one in a thousand
16 compounding pharmacists actually calls the
17 manufacturer because most of them are in China, and
18 you've got to go through a broker, and also they're
19 not going to deal with small quantities and
20 materials. So probably the most important
21 relationship is between the distributor and the
22 compounding pharmacist.

1 DR. GULUR: Yes, Dr. Bogner?

2 DR. BOGNER: On the C of A, I note there's
3 no melting point specification. Melting point is a
4 very nice and easy test that can even be done in
5 the pharmacy and is done in pharmacies in other
6 countries. I'm wondering why there's not a melting
7 point specification. And then I have some other
8 questions regarding the C of A.

9 DR. DAY: Again, the specific parameters to
10 test are generated by the manufacturer. So if they
11 did not require for this particular substance
12 melting point -- in previous meetings, we've talked
13 about other substances where, for example, melting
14 point is one of the parameters that's studied.

15 I can't speak as to why the manufacturer
16 chose not to utilize melting point as part of their
17 analysis with L-citrulline specifically.

18 DR. GULUR: Go ahead.

19 DR. BOGNER: May I follow up? So there are
20 a number of places in the specification where the
21 specification simply says "pass," but I don't know
22 what the method is. There's no method description.

1 Can you comment on that? It's not as
2 helpful to me, not understanding what method was
3 used for a specification of pass.

4 DR. DAY: So the methodology oftentimes is
5 not explicit on a certificate of analysis. The
6 results are what's typically described. And they
7 may have a range of acceptable results, such as for
8 an assay, for example. Methodologies are
9 oftentimes, as Dr. Davidson has pointed out, a
10 component of the USP monograph. And for a
11 substance such as this, the manufacturer would go
12 through their own process of determining what is
13 the appropriate methodology, and I can't speak to
14 that.

15 Dr. Johnson might have some ideas.

16 DR. GULUR: Yes, Dr. Johnson?

17 DR. JOHNSON: Dr. Zhang, our quality
18 chemist, is here and can answer questions about
19 what is required to be on the CoA.

20 DR. ZHANG: This is Ben Zhang from OPQ. And
21 usually, for characterization of the bulk
22 substances, they will have the melting points. And

1 they're [indiscernible] results such as in MRs and
2 IRS, all these techniques.

3 DR. GULUR: Any further questions or
4 clarifications, Dr. Patel?

5 DR. PATEL: In terms of its place in
6 therapy, under pharmacotherapy, you've mentioned
7 standard of care. How does it compare to the
8 alternatives that are available? I know the body
9 of evidence, there weren't any comparative studies.

10 DR. JOHNSON: Some of it's a matter of
11 convenience. When a patient is first diagnosed
12 with hyperammonemia and the cause is unknown, the
13 nitrogen scavengers are the first start to try to
14 reduce the levels of pneumonia.

15 Then I believe that -- and Dr. Burman may
16 want to comment additionally -- the next step when
17 there's a diagnosis is the use of L-arginine. But
18 that's an IV product, so they tend to switch to
19 L-citrulline as fast as they can. Then obviously
20 we have the one product for NAG, the specific match
21 to the particular deficiency.

22 Does that answer the question that you were

1 looking for?

2 DR. PATEL: Yes. In addition to that, if
3 L-citrulline were not to be available as an option,
4 what's the next sequence?

5 DR. JOHNSON: I see what you're saying. And
6 again, Dr. Burman may want to comment, but I
7 believe that IV L-arginine would be the predominant
8 therapy.

9 DR. GULUR: Dr. Burman?

10 DR. BURMAN: Thank you. We don't see many
11 of these patients, and when we do, they're in
12 consultation. But that is my understanding of the
13 approach, and also L-citrulline is probably the
14 most effective therapy.

15 DR. JOHNSON: I guess just to be clear, from
16 FDA's viewpoint, our review didn't find another
17 alternative for chronic oral use other than
18 L-citrulline.

19 MS. DAVIDSON: If I could just comment, I
20 think the logical conclusion to that would be that
21 clinicians will be forced to use the dietary
22 supplement, which is non-regulated and has been

1 found to be subpotent or completely absent in many
2 products.

3 DR. GULUR: Thank you. The panel will now
4 end the discussion, and we will start the vote.
5 The panel will be using an electronic voting system
6 for this meeting. Each voting member has three
7 voting buttons on your microphone; yes, no, and
8 abstain. Please vote by pressing your selection
9 firmly. After everyone has voted, the vote will be
10 complete.

11 The voting will be on the drug product just
12 presented. This vote question relates to whether
13 this product should be included on the 503A bulk
14 list. After the completion of the vote, we will
15 read the vote from the screen into the record and
16 then hear individual comments from each member.

17 The question today is, FDA is proposing that
18 L-citrulline for oral administration only be
19 included on the 503A bulks list. Should
20 L-citrulline for oral administration only be placed
21 on the list? Please vote now.

22 (Voting.)

1 DR. GULUR: While we're waiting, I'll just
2 repeat a few instructions. If you vote no, you are
3 recommending FDA not place the bulk drug substance
4 on the 503A bulks list. If the substance is not on
5 the list when the final rule is promulgated,
6 compounders may not use the drug for compounding
7 under Section 503A unless it becomes the subject of
8 an applicable USP or monograph or component of an
9 FDA-approved drug.

10 We will now summarize the vote as soon as we
11 receive it. After you have made your selection,
12 the light will continue to flash. If you're unsure
13 of your vote, please press the corresponding button
14 again.

15 (Pause.)

16 DR. CHEE: Sorry, everyone. Could everyone
17 vote one more time, please?

18 DR. GULUR: Could all panel members please
19 press the button one more time?

20 (Voting.)

21 DR. CHEE: For question 1 for L-citrulline,
22 we have 12 yeses, zero no, and zero abstain.

1 DR. GULUR: We will now start with comments.
2 Dr. Carome, if you would, get started.

3 DR. CAROME: Mike Carome. I voted yes.
4 This was a pretty straightforward choice. I
5 thought the drug was very well characterized.
6 There are no significant safety concerns. It
7 clearly has a definitive track record of being
8 efficacious, and it's really the treatment of
9 choice for these conditions. So that's why I voted
10 yes.

11 DR. HOAG: I voted yes for all the reasons
12 just mentioned. It seemed like a reasonable
13 choice.

14 MS. JUNGMAN: Elizabeth Jungman. I voted
15 yes because I thought the balance of factors that
16 we're supposed to be considering supported the use,
17 and I was influenced by the fact that it's the
18 standard of care.

19 DR. BOGNER: Robin Bogner. I voted yes
20 because the benefits clearly outweigh the risks.

21 DR. PATEL: I voted yes based on its safety
22 and efficacy profile and the lack of many

1 alternatives to treat the disorder.

2 MR. HUMPHREY: William Humphrey. I voted
3 yes for many of the same reasons already mentioned.

4 MS. DAVIDSON: Gigi Davidson. I voted yes
5 because it is the standard of care, has been for
6 many decades, and any safety signals apparently
7 have come from use of unregulated products. So I
8 strongly feel that this substance belongs in the
9 triad between the prescriber and the pharmacist who
10 can compound it very precisely.

11 DR. GULUR: Dr. Wall, would you like to
12 comment?

13 DR. WALL: I voted yes for the reasons that
14 my colleagues have mentioned. Thank you.

15 DR. GULUR: I'm Padma Gulur, and I voted yes
16 for the same reasons. It's a stable substance,
17 well characterized, 30 years of historical use,
18 safe and efficacious, as has been demonstrated in
19 these presentations.

20 DR. VAIDA: Allen Vaida. I voted yes for
21 all the reasons that have been mentioned, but also
22 because of the need for weight-based dosing.

1 DR. BURMAN: Ken Burman. I voted yes as
2 well. These were nice presentations. This agent
3 has important clinical uses, as we've heard. It is
4 interesting there are no long-term clinical
5 studies, which is unusual for the FDA, but in this
6 circumstance seems appropriate. And I do think we
7 need specific details on requirements for purity
8 and impurities in the final product.

9 DR. GULUR: Dr. Venitz?

10 DR. VENITZ: This is Jurgen Venitz. I voted
11 yes for pretty much the same reasons. What
12 convinced me more than anything else was despite
13 the absence of former clinical trials, the long
14 history of use, and its part of standard of care.

15 DR. GULUR: Thank you. I'll just explain
16 the no vote. Dr. Desai is not present. That is
17 the one no vote.

18 Thank you, everyone. We will now have our
19 morning break. Committee members, please remember
20 that there should be no discussion of the meeting
21 topic during the break, amongst yourselves, or with
22 any members of the audience. Please return to your

1 seats in 10 minutes; 15 minutes. We've been given
2 15 minutes.

3 (Whereupon, at 9:28 a.m., a recess was
4 taken.)

5 DR. GULUR: Welcome back, everyone. Before
6 we begin, I will introduce one voting special
7 government employee who will be participating in
8 this topic for pregnenolone.

9 Dr. Jeff Fiedorowicz, would you please
10 introduce yourself?

11 (No response.)

12 DR. GULUR: We will now have Dr. Harrouk
13 present from the FDA.

14 FDA Presentation - Wafa Harrouk

15 DR. HARROUK: Good morning. My name is Wafa
16 Harrouk. I'm a pharmacologist in the Office of
17 Drug Evaluation IV, and I will be presenting to you
18 the pregnenolone presentation and the FDA's review.
19 First off, I would like to thank the review team
20 who worked on this project, whose names are listed
21 on this slide.

22 Pregnenolone has been nominated for

1 inclusion on the list of bulk drug substances for
2 use in compounding under Section 503A of the FD&C
3 Act. The proposed uses that we reviewed were those
4 for the treatment of rheumatoid arthritis and
5 hypercholesterolemia, and as an adjunctive therapy
6 for schizophrenia and bipolar disorder.

7 The proposed routes of administration and
8 dose ranges are oral capsules; 5 to 200-milligram,
9 oral suspension, 10 to 200 milligram per mL;
10 topical cream and gel, 1 to 20 percent; and
11 injection suspension, 0.1 to 5 milligram per mL.

12 I should also note that pregnenolone is
13 available in the U.S. as a dietary supplement in
14 its oral form. It is also available in sprays and
15 creams. Labeled claims vary and include support of
16 hormones, memory, and brain, production of stress,
17 improving skin and joints, anti-aging, and so on.

18 Next, I will present the physical and
19 chemical characteristics of pregnenolone.

20 Pregnenolone is an endogenous steroid. It is a
21 well-characterized substance. It's insoluble in
22 water and is likely to be stable under ordinary

1 storage conditions based on its chemical structure.

2 Besides its endogenous presence,
3 pregnenolone can be synthesized by a process that
4 involves hydrogenation of the starting material,
5 16-dehydro pregnenolone acetate followed by
6 hydrolysis under basic conditions. Impurities may
7 include residual starting materials or residual
8 chemicals used in the synthesis process.

9 Next, I will discuss the general
10 pharmacology of pregnenolone. As I mentioned
11 earlier, pregnenolone is an endogenous steroid. It
12 is derived from cholesterol and is a precursor for
13 several steroid hormones, including reproductive
14 hormones such as estrogens, progestins, and
15 androgens, glucocorticoids, and mineral corticoids.

16 Among the downstream hormones lies
17 dehydroepiandrosterone, which is the pre-cursor for
18 7-keto DHEA, which is the subject of another
19 nomination, which will be discussed in the next
20 presentation.

21 Collectively, these steroids act in concert
22 to regulate critical body functions such as body

1 temperature, hormonal regulation, et cetera.

2 Pregnenolone is synthesized in the liver,
3 skin, brain, retinal, reproductive organs, as well
4 as in the peripheral nervous system. Disturbing
5 the levels of pregnenolone impacts a number of
6 downstream pathways. One of the most severe forms
7 of this disturbance can result in congenital lipoid
8 adrenal hyperplasia.

9 In terms of its non-clinical
10 pharmacokinetics and toxicokinetics profile, we
11 found that there were limited PK/TK data. However,
12 the information that we found shows that the
13 metabolic pathway of pregnenolone differs among
14 species and amongst tissues where it is expressed.

15 For example, in rodents, pregnenolone is
16 absorbed from the small intestines, where it
17 undergoes extensive enterohepatic metabolism. It
18 can cross the blood-brain barrier following
19 intravenous and intranasal administration.

20 Several metabolites have been described for
21 pregnenolone, and these include allopregnanolone,
22 the sulfated and glucoronidated forms of

1 pregnenolone. Pregnenolone is mostly eliminated in
2 the urine. And lastly, we did not find any
3 toxicokinetic data for pregnenolone.

4 Moving on to the clinical pharmacokinetic
5 profile for pregnenolone, we found limited human PK
6 data. The available data suggests that orally
7 administered pregnenolone is absorbed and
8 metabolized in humans. A half-life of 5 to
9 25 hours was reported in a small study of three
10 subjects.

11 In another study, it has been shown that
12 pregnenolone blood level increased about fourfold
13 after 12 weeks of administering pregnenolone
14 compared to placebo. The study did not take
15 subject's serum level out of the normal adult range
16 for pregnenolone, so it didn't impact the
17 endogenous levels of pregnenolone.

18 For dermal pharmacokinetics, we identified
19 one study conducted in 10 post-menopausal women
20 where pregnenolone was topically applied twice a
21 day as a 3 percent facial cream for 4 months.
22 Endogenous levels of pregnenolone showed a small

1 decrease in its level in response to the
2 exogenously administered pregnenolone. We did not
3 find any data on transdermal absorption in the
4 small study.

5 Next, I will discuss the non-clinical safety
6 profile for pregnenolone. We found a review
7 article from the 1950s which reported a number of
8 toxicity studies. Among these studies, there was
9 one study where mice were given a single acute dose
10 of up to 5 grams per kilogram body weight, and the
11 author did not report any death in this study.

12 Other reported studies included oral gavage,
13 oral feeding, intraperitoneal, and subcutaneous
14 studies. None of these studies reported toxic
15 effects for the elements that were measured, and
16 I'll go into what elements were measured in these
17 studies.

18 When pregnenolone was orally administered at
19 1 gram per kilogram in rats 3 times per week for
20 50 doses covering 17 weeks via oral and
21 intraperitoneal route of administration, no
22 significant toxicity findings were reported.

1 However, in this specific study, the measured
2 endpoints were limited to blood parameters and
3 organ weights.

4 In the feeding oral study, no change was
5 seen in either the weight or food intake of the
6 treated animals. In the subQ study, there were no
7 changes in some of the organ weights that were
8 chosen to be evaluated.

9 Overall the repeat dose toxicity profile for
10 pregnenolone was not adequately designed to review
11 the toxicity profile of pregnenolone. There were
12 key elements, key parameters, such as body weight,
13 clinical observations, gross examination, or
14 histopathology of key organ systems that were not
15 evaluated.

16 We did not find any studies for chronic
17 toxicity, genotoxicity, developmental, or
18 reproductive toxicity, or carcinogenicity.

19 Overall, the available non-clinical toxicity data
20 do not provide a well-understood safety program for
21 pregnenolone to inform on its safety profiles in
22 human.

1 Clinical adverse events will be discussed
2 next. The first voluntary reporting system that we
3 look at is the FDA adverse events reporting system,
4 the FAERS. In the FAERS, we located seven reports.
5 None of them were serious adverse events. Those
6 adverse events that were self-reported included
7 menopausal symptoms, dizziness, nausea, and
8 excessive hair growth.

9 The CFSAN, the Center for Food, has their
10 own reporting system, the CAERS, and that system
11 reported included 30 reports, 18 of which reported
12 a serious outcome that included 1 death and 17
13 hospitalizations or other serious events. The most
14 commonly reported events included increase in heart
15 rate, increase in blood pressure, dizziness,
16 headache, pain, hypersensitivity, dyspnea, anxiety,
17 tremor, and fatigue.

18 Causality in these two reporting systems,
19 the FAERS and CAERS, cannot be established due to
20 the use of multiple products or the use of products
21 containing multiple ingredients.

22 Now, I'll discuss the safety events from

1 clinical trials. We found a few studies which
2 reported systemic collection of adverse events in
3 clinical trials. Some of these were small case
4 series reported in the '50s, which involved
5 intramuscular injection and/or oral ingestion up to
6 doses of 600 milligrams daily for a variety of
7 conditions, up to 8 weeks of dosing.

8 These case series reported a few cases of
9 menorrhagia and weight gain, and a number of
10 injection-site problems, which included abscesses
11 that needed local excision and drainage. Whether
12 the injection site reactions were caused by
13 pregnenolone itself or other components of the
14 formulations or the actual injection cannot be
15 ruled out. We don't even have enough data on these
16 1950 reported studies.

17 In here also, adverse reactions that were
18 seen included headache, decreased appetite,
19 depression, and anxiety. And again, just like I
20 talked about those side effects, adverse events for
21 CAERS and FAERS, it's very hard to ascribe the
22 conditions to whether it is the presence of

1 pregnenolone or other things that were done because
2 we didn't have controlled studies.

3 More recently in the last decades, there
4 have been studies reported in the literature which
5 enrolled patients or subjects with psychiatric
6 illnesses. These studies have not reported any
7 significant safety signals. The dosing in the more
8 recent studies were oral and ranged in dose between
9 50 milligrams to 500 milligrams daily.

10 I'll just mention one other safety piece of
11 information, which is the State of California
12 prohibits the sale of steroid precursors such as
13 pregnenolone if certain warning statements are not
14 included on the label. So pregnenolone is one of
15 the ingredients that has to have a warning
16 statement in the state of California. And a very
17 important point to mention is that we did not find
18 any long-term studies for pregnenolone.

19 In conclusion, in terms of safety, we have
20 found insufficient data to support the safety
21 profile of pregnenolone for treatment of chronic
22 diseases that are the subject of this nominated

1 product. First of all, we had limited non-clinical
2 data. Secondly, in terms of human, there are a few
3 adverse events that were reported for pregnenolone
4 and short-term clinical studies. No long-term
5 safety studies were reported that support the use
6 of pregnenolone in these chronic conditions.

7 Lastly, because of its role as a precursor
8 in the production of more downstream key steroid
9 hormones, a dose-related increase in adverse
10 events -- some of them could be serious -- may
11 occur with chronic administration of pregnenolone.
12 We just don't know that piece of information.

13 Next, I will move on to discuss the
14 effectiveness of pregnenolone for the nominated
15 uses. Based on a literature search, we noticed
16 that interest in developing pregnenolone as a
17 treatment option for rheumatoid arthritis peaked in
18 the 1950s.

19 We reviewed two uncontrolled case series
20 which were submitted by the nominator where the
21 dosing was up to 300 milligrams per day, and the
22 duration was between 5 to 44 days of exposure to

1 pregnenolone. The results that have been reported
2 show that some subjects improved, some worsened,
3 and some did not have any effect either way.

4 The authors of these reports concluded that
5 it was difficult to ascribe changes to
6 pregnenolone, as any of the changes may have been
7 caused by the normal spontaneous variation
8 frequently seen in untreated cases of rheumatoid
9 arthritis.

10 We also found two early controlled clinical
11 trials also from the '50s by different authors
12 where the dosing was from 10 to 150 days and the
13 other study was 7 to 42 days. Neither study showed
14 positive effects of pregnenolone on rheumatoid
15 arthritis.

16 In summary, for the effectiveness of
17 pregnenolone on rheumatoid arthritis, we found no
18 evidence of effectiveness in this indication. And
19 overall, we find that the studies were generally
20 small uncontrolled case series, which is a study
21 design that's problematic in a disease like RA with
22 spontaneous remission and exacerbation of the

1 disease.

2 The next indication that we reviewed is
3 hypercholesterolemia. In this regard, we found two
4 uncontrolled retrospective chart reviews, where
5 patients were treated with individually tailored
6 anti-aging cocktail of 7 to 9 hormones at various
7 doses, and pregnenolone was one of these hormones.

8 The problem with these two studies is that
9 we didn't have control groups. There were several
10 substances used, and both of these factors preclude
11 us to deciding any effect on one of these
12 substances, namely pregnenolone.

13 As I mentioned earlier, in more recent
14 years, researchers have focused their effort on
15 studying the effect of pregnenolone as an
16 adjunctive therapy for cognition and other
17 neurobehavioral diseases in a variety of mental
18 health conditions.

19 The first one that was nominated was the use
20 of pregnenolone as an adjunctive therapy for
21 schizophrenia. In that regard, we found two
22 exploratory trials. Each one was 8 weeks in

1 duration, which enrolled small numbers of subjects
2 with schizophrenia or schizoaffective disorder and
3 had multiple endpoints. There was no statistical
4 correction for multiplicity, which is a design
5 appropriate for generating hypothesis but not to
6 really tease out any real effect of the drug.

7 One study used 6 instruments, and the other
8 used 10 instruments. Both studies detected a trend
9 in improvement in positive symptoms measured by the
10 same subscale that the authors decided to use.

11 However, one study only saw the trend in patients
12 treated with the lowest dose, the 30-milligram per
13 day, but not at the higher dose, the 200-milligram
14 per day. The other study, the trend was seen in
15 8 subjects who are treated with pregnenolone at 500
16 milligrams per day.

17 There were also in the literature two larger
18 placebo-controlled trials, and both of them failed
19 to meet the primary prespecified endpoints that the
20 authors chose. In the first trial, the researchers
21 enrolled 120 subjects who were dosed with oral
22 pregnenolone up to 500 milligrams per day. The

1 study did not show efficacy based on a prespecified
2 co-primary outcomes, which included changes in
3 cognition and functional capacity.

4 The other issue here, because this is in
5 adjunctive therapy, the concomitant medication at
6 baseline varied, and there were 19 subjects that
7 had changes in their concomitant medication during
8 the study. The authors concluded for these two
9 studies that further investigation will be required
10 to clarify the potential therapeutic role for
11 pregnenolone in schizophrenia.

12 The second trial studied 82 patients with
13 chronic schizophrenia. All patients were treated
14 with the same concomitant medication in this trial.
15 The patients in the pregnenolone arm received
16 50 milligrams per day of oral pregnenolone. The
17 primary prespecified outcome was a change in the
18 total positive and negative syndrome scale.
19 However, again, the trial did not show a
20 significant difference in PANSS scores between
21 pregnenolone and placebo arms.

22 Overall, to summarize, the studies that we

1 found for the effect of pregnenolone as an
2 adjunctive therapy for schizophrenia were
3 inadequate to support the effectiveness claim.

4 The next indication is the use of
5 pregnenolone as an adjunctive therapy for bipolar
6 disorder. The nominators here submitted two
7 articles reporting the results of small randomized
8 placebo-controlled studies in patients with bipolar
9 disorder who were given pregnenolone as adjunctive
10 therapy to their conventional therapy.

11 The first exploratory study up-titrated dose
12 in 70 subjects from 50 to 100 milligrams per day
13 over 8 weeks' duration. This particular study used
14 6 different instruments and made no correction for
15 multiplicity. The study had a large dropout rate,
16 where more than half of the subjects dropped out of
17 the study, and almost half of the subjects had
18 changes in their primary therapy during the study.

19 Among the completers, which were only 18,
20 pregnenolone-treated subjects showed a trend
21 towards greater improvement in the Hamilton Rating
22 Scale for Depression relative to placebo.

1 The second study also up-titrated
2 pregnenolone from 50 to 500 milligrams per day over
3 a 12-week period in 80 subjects. The primary
4 outcome measure for this study was also the
5 Hamilton Rating Scale for Depression. The results
6 that we found on clinicaltrials.gov did not report
7 a statistically significant change in that scale
8 for pregnenolone subjects compared to placebo,
9 although the numbers show a trend towards a greater
10 improvement in the pregnenolone group compared to
11 placebo.

12 In summary, for the use of pregnenolone as
13 an adjunctive therapy for bipolar, although there
14 were two studies that showed some promising trend
15 in effectiveness and improving bipolar disease,
16 there were a number of factors, including the
17 change in concomitant therapies during the trial,
18 the small subjects number, the statistical design
19 issues, and other study-specific problems that
20 precluded definitive conclusion. In both cases,
21 the researchers called for further research into
22 the effectiveness claims.

1 To pull together the effectiveness data that
2 we reviewed, we found data for the various
3 indications, however, we could not find data that
4 supported the concept that pregnenolone is
5 effective in treating rheumatoid arthritis or
6 hypercholesterolemia. The data were inadequate to
7 support that pregnenolone is an effective
8 adjunctive therapy for schizophrenia or bipolar
9 disorder.

10 Just a word here, all four conditions that
11 I've been discussing are chronic conditions that
12 can be serious depending on the severity and
13 associated comorbidities that are seen in them.
14 And lastly, there are numerous FDA-approved drug
15 therapies for each of these nominated uses.

16 The last criterion that we evaluated was the
17 historical use in compounding. A search of the
18 literature on this matter shows that pregnenolone
19 has been used in pharmacy compounding in the U.S.
20 since at least 2003 and that its use has been wide
21 in range and includes treatments for conditions
22 such as aging, arthritis, endometriosis,

1 depression, hormone replacement, fatigue, lupus,
2 multiple sclerosis, seizures, among other
3 indications.

4 Just to remind the audience and the
5 advisors, its use has been reported in Australia in
6 compounded hormone replacement therapy. We did not
7 find it to be listed in the British, European, or
8 Japanese pharmacopoeias. And in the U.S., it is
9 available as a dietary ingredient in dietary
10 supplements, so it's available on the market.

11 In my big conclusion, what I hope I have
12 conveyed during this presentation is that the
13 evaluation of the four criterion for compounded
14 drugs for pregnenolone were as follows.

15 We find that pregnenolone is a well-
16 synthesized steroid; that its safety, both clinical
17 and non-clinical, has not been adequately assessed;
18 that its role as a precursor for other steroid
19 hormones poses a potential increase in adverse
20 events -- some of them could be serious -- that the
21 lack of long-term safety data, the lack of efficacy
22 data in the treatment of RA or cholesterolemia as

1 primary option, and as adjunct therapy for
2 schizophrenia and bipolar diseases, that the
3 historical use in compounding, which is widespread
4 in the U.S. -- so we weighed all these.

5 We balanced all the four criteria, and based
6 on that balancing, we do not recommend that
7 pregnenolone be placed on the 503A list of
8 compounding substances. With that, I'll close, and
9 I'll entertain any questions. Thank you.

10 DR. GULUR: Before we move to the questions,
11 Dr. Fiedorowicz, are you on the phone, and would
12 you like to introduce yourself?

13 DR. FIEDOROWICZ: Can you hear me?

14 DR. GULUR: Yes.

15 DR. FIEDOROWICZ: Great. This is Jeff
16 Fiedorowicz. I'm at the University of Iowa.

17 Clarifying Questions from the Committee

18 DR. GULUR: Thank you. At this time, we
19 will accept clarifying questions from the
20 committee. One of our first questions is from
21 Dr. Venitz on the phone.

22 DR. VENITZ: The question is regarding

1 [indiscernible]. Could I get a clarification of
2 that?

3 DR. GULUR: Dr. Venitz, could you please
4 repeat? The connection is not very clear.

5 DR. CHEE: Dr. Venitz, I'll rephrase. So
6 he's asking about the 50 percent dropout rate. Is
7 that due to adverse events or lack of efficacy?

8 DR. HARROUK: It was not mentioned. It
9 wasn't very specified. But I would like to see if
10 Dr. Jean Kim is in the audience, if you would like
11 to add anything to that study.

12 DR. KIM: I don't know -- [inaudible - off
13 mic].

14 DR. HARROUK: I can get some more specific
15 answers on that question. Whether it's because of
16 safety or efficacy, I don't think it was very
17 clarified, but we can look it up and get back to
18 you.

19 DR. GULUR: Thank you.

20 Any other clarifying questions? Dr. Carome?

21 DR. CAROME: Mike Carome. So you mentioned
22 that chronic long-term use of this drug, you worry

1 about certain adverse events occurring. Could you
2 characterize the types of adverse events FDA might
3 expect from this drug product with long-term use?

4 DR. HARROUK: Right. Because of its role as
5 a steroid hormone and as a precursor, that's way up
6 in the cascade of steroidogenesis. So adverse
7 events that relate to hormone disturbances, things
8 like I mentioned in the presentation, dysmenorrhea,
9 for example, in post-menopausal women, headaches.

10 Let's see. What else did I have?

11 DR. FURLONG: Wafa, do you want me to try to
12 tackle that a little bit?

13 DR. HARROUK: Yes.

14 DR. FURLONG: For full disclosure, I'm an
15 obstetrician-gynecologist. I can speak to the
16 downstream effects, estrogens and progestins, that
17 are used even in what would be characterized as
18 normal ranges. But we would also be concerned
19 about mineralcorticoid imbalances or cortisol
20 excess.

21 For example, with chronic - even,
22 quote/unquote "normal" physiologic amounts of

1 estrogen in individuals, women can develop, for
2 example, endometrial hyperplasia, endometrial
3 cancers, venous thromboembolic events. These are
4 long-term effects. These are usually not seen in
5 short-term studies. Progestins we know are
6 associated with, in the wrong population, breast
7 cancers.

8 So even with endogenous hormones, there are
9 potential carcinogenic effects. We don't have
10 enough data to know with the pregnenolone. The
11 range of normal for these hormones is huge. The
12 body adjusts to external influences to achieve
13 homeostasis and react in the moment to what is
14 needed at that moment. Even excesses of cortisol,
15 chronic long-term excesses of cortisol, can lead to
16 Cushing's syndrome, Addison's disease, and so on.

17 In the short term, you're not going to pick
18 up these sorts of complications. In a normal drug
19 development program, which these don't undergo, of
20 course, we would expect some long-term data to
21 characterize those long-term effects.

22 DR. GULUR: Yes, Dr. Jungman?

1 MS. JUNGMAN: We received a number of
2 comments about this product. Most of those really
3 referenced its use as a hormone replacement
4 therapy. So I'd appreciate if you'd speak just a
5 moment about why FDA didn't evaluate it for that
6 use.

7 DR. HARROUK: So I'll say a few words, and
8 then I'll turn it over to Dr. Furlong. The way you
9 review indications is by discussion with the
10 nominators. We had the clarification e-mails
11 between nominators, and hormone replacement therapy
12 was not one of the indications that they sought
13 out. That's the basic answer.

14 I don't know if Dr. Furlong has anything
15 else to add.

16 DR. FURLONG: It was tough to figure out
17 from the four initial nominations what we were
18 really looking at here. Hormone therapy, I believe
19 was one of the nominations' uses; pain, cognition.
20 There were others as well. We didn't get any
21 supportive information, and we couldn't find any
22 supportive information. We know it's used for

1 hormone therapy. That might be its most common
2 use, but we don't know. But there really isn't a
3 lot of information out there in the literature
4 about its use.

5 DR. GULUR: Dr. Braunstein?

6 DR. BRAUNSTEIN: Dr. Furlong, in terms of
7 the rate-limiting step in corticosteroid hormone
8 synthesis, is the transformation of cholesterol
9 into pregnenolone, is that the rate-limiting step
10 or is it downstream?

11 How is it regulated? Certainly, for
12 example, hypercholesterolemia itself doesn't lead
13 to -- I don't know. I'm not an expert in this.
14 But I would think that that's not in and of itself
15 what would lead to excesses in [indiscernible], or
16 other sterile hormones.

17 Can you help us understand a little bit more
18 about where the rate limiting -- how the control
19 is, or where the control is in terms of the
20 generation of the active hormones?

21 DR. FURLONG: I wish I could. I can't. I
22 don't know enough about the subject to really be

1 able to address that question. Again, I'm an
2 OB-GYN by training. I used to know a lot about
3 estrogen regulation and progesterone regulation.

4 There are a lot of internal controls in the
5 body. For example, estrogen is regulated in the
6 brain - the hypothalamus, pituitary gland. It's
7 also regulated in the end organs and the target
8 organs. So there are feedback loops.

9 A woman's estrogen levels in the
10 reproductive years are variable from day to day in
11 the cycle, and the variation is over 200 orders of
12 magnitude. So what's normal for pregnenolone, I
13 really couldn't tell you what the rate-limiting
14 step and the synthesis is. I don't know. Sorry.

15 DR. GULUR: Dr. Davidson?

16 MS. DAVIDSON: I had a really hard time
17 sorting out the differences in pregnenolone and
18 DHEA in terms of effect and what they do. But for
19 the schizophrenia studies, it seems like they
20 weren't able to sort out the adverse events from
21 pregnenolone versus the conversion DHEA, which kind
22 of goes along with a previous question about the

1 metabolic fate of it.

2 So that's one question. What were the
3 adverse events from pregnenolone if any of the
4 studies characterized that?

5 The other thought I had after reading the
6 three studies is that there's a clear connection
7 between lower pregnenolone serum levels in
8 schizophrenic patients compared to normal patients,
9 but that blood levels of DHEA were all over the map
10 in all three studies, and they could not correlate
11 that.

12 So again, it all goes back to metabolism and
13 pregnenolone. To me, it seems like DHEA has more
14 adverse events directly associated with it, but do
15 we know how much pregnenolone goes to DHEA in
16 schizophrenics?

17 DR. HARROUK: Before I answer that or we
18 answer that question, pregnenolone, as I mentioned,
19 in the PK, the pharmacokinetics of it, is that it
20 is very rapidly absorbed, and it is transformed
21 into its metabolites. So you have
22 allopregnanolone, the sulfated and glucuronidated

1 forms of pregnenolone. So it doesn't stick around
2 a lot, but obviously it sticks around long enough
3 for it to turn on the cascade, the downstream
4 cascades.

5 I was trying to get back to the big
6 steroidogenesis graphs. But one of the paths that
7 pregnenolone does when it goes down the pathway is
8 three major pathways. Now, how much pregnenolone
9 ends up in one, the hormone steroidal pathway or
10 the glucocorticoids, or others, I didn't find any
11 specific information on the pharmacology aspects of
12 it to know what is the percentage that we can tell.

13 Now, in terms of the schizophrenic data, I'm
14 not sure how much were the levels. So you're
15 looking for the levels that the subjects with
16 schizophrenia had for DHEA. Right? That's what
17 you're looking for?

18 MS. DAVIDSON: I was trying to sort out how
19 many adverse effects -- first of all, I couldn't
20 really discern what were non-disease-related
21 adverse effects in any of these studies, and if
22 there were, were they attributable directly to

1 pregnenolone or to their metabolites.

2 DR. HARROUK: I think the authors in all of
3 these, the adjunct use of pregnenolone in addition
4 to the regular treatment could not be concluded.
5 In all of these case-controlled studies, the
6 authors ended up saying it could be the concomitant
7 therapy. It could be pregnenolone.

8 So it doesn't help that the subjects had
9 many other treatments that they're exposed to. And
10 to squeeze out the effect, I guess they would have
11 to have pregnenolone on the arm, but then these
12 people have diseases that you're trying to control
13 for. So I don't think I saw any information that
14 teases out pregnenolone versus the other treatment
15 options that were given.

16 MS. DAVIDSON: I didn't, either.

17 DR. HARROUK: Sorry. Yes.

18 MS. DAVIDSON: I just wanted to make sure I
19 hadn't missed it.

20 I have one other question if you'll allow
21 it.

22 DR. GULUR: Go ahead.

1 MS. DAVIDSON: This may be a question for
2 the nominator presenters, but I noticed on the
3 certificate of analysis that there were tests for
4 pseudomonas, staph, salmonella, mold, coliforms,
5 including E. coli, and yeast.

6 What is that all about? Is that from the
7 synthesis of this? I did notice some of those on
8 the C of A for citrulline, but I didn't mention it
9 in that context. But I was very curious when I saw
10 these on the C of A.

11 DR. HARROUK: I'm looking at our resident
12 chemistry expert, Dr. Zhang. Basically,
13 Dr. Giovanni [ph] found that, on the C of A, there
14 were some mold and other things that have been
15 specified on the C of A. Do you know what the
16 source of these are or might be?

17 DR. ZHANG: I think, originally, the
18 nomination mentioned it was a micronized form of
19 the pregnenolone. And in this nomination, we're
20 mainly focused on the drug substance itself. So
21 the conclusion I think we draw from this review
22 also applies to what they have [indiscernible].

1 MS. DAVIDSON: So you're suggesting that
2 micronizing the substance contributes microbial
3 or --

4 DR. ZHANG: We didn't see any difference in
5 the review.

6 MS. DAVIDSON: I'm just curious, as I'm not
7 used to seeing this information on substance
8 C of As for chemicals, and I just wondered why.

9 DR. ZHANG: We don't usually see that
10 either.

11 MS. DAVIDSON: Maybe that is a question for
12 the presenter.

13 DR. HARROUK: So perhaps the next
14 presentation, maybe can shed some light on that,
15 too.

16 DR. JOHNSON: I think, then, just to
17 add -- I'm sorry to interrupt --

18 DR. GULUR: Yes, please?

19 DR. JOHNSON: It can be fairly standard to
20 assess the microbiology for a certificate of
21 analysis; correct? That's the standard to make
22 sure that there's not contamination in the

1 manufacturing process, not just in micronization,
2 but in any handling.

3 MS. DAVIDSON: I'm just not used to seeing
4 such a wide spectrum of microorganisms in the
5 C of A, and I guess I was wondering when
6 manufacturers of this dietary supplement make this,
7 are they looking for the same things, or do we even
8 know what the content of those microorganisms are
9 in a dietary supplement since they're unregulated.

10 DR. JOHNSON: I'm sorry. Our chemistry
11 colleagues were informing us that, oftentimes, if
12 the bulk substance is to be used in intravenous
13 products, then the surveillance of microbiological
14 contamination is greater.

15 MS. DAVIDSON: In the antitoxic burden,
16 sure.

17 DR. JOHNSON: I'm used to that. I'm sorry.
18 I missed your other question.

19 MS. DAVIDSON: I was just wondering if the
20 manufacturers of dietary supplements, for which I
21 believe this is available, are aware of such
22 contaminants and how would consumers or compounders

1 know, if they're starting with dietary supplements,
2 what the burden is in those.

3 DR. JOHNSON: I don't think we have anyone
4 here from CFSAN. Sometimes we have a
5 representative to speak on dietary supplements, and
6 I don't believe that we have anybody here. So I
7 don't know that we know what the standard is.

8 MS. DAVIDSON: Okay. Thank you.

9 DR. GULUR: Thank you. We have a question
10 on the phone from Dr. Wall, followed by Dr. Venitz,
11 and then I'll get to Dr. Hoag. So Dr. Wall?

12 DR. WALL: I'm here, and my question has
13 already just been asked. Thank you very much.

14 DR. GULUR: Dr. Venitz?

15 DR. VENITZ: My question is regarding the
16 topical administration. Is that intended for
17 topical use or is it intended for systemic
18 absorption across the skin?

19 DR. HARROUK: So that study was the PK study
20 that was done on post-menopausal women. And this
21 was mostly for skin, removing wrinkles, et cetera.
22 So the objective of that study was to see the

1 effect of pregnenolone and other things on the skin
2 of post-menopausal women. And as a side arm of the
3 study, they decided to measure how much was
4 absorbed.

5 DR. GULUR: Dr. Venitz, did you wish to
6 clarify further? Dr. Hoag?

7 DR. VENITZ: Thank you.

8 DR. HOAG: Steve Hoag. A quick comment. On
9 this microbial testing, if it's a generic
10 thing -- now, this is the product, but the USP has
11 standard specifications and standard 1111 about
12 what the microbial loads are and what bacteria
13 should be there. But that's a product generally.
14 I don't know about the C of A.

15 I had a question. You mentioned that this
16 is used for injections as a suspension. I assume
17 this is like IM and subcutaneous or more
18 specification.

19 DR. HARROUK: Yes. So when we reviewed
20 the articles that were submitted by the nominator
21 and also what we found in the literature, some of
22 the information that was submitted was that a

1 compounder could use it as an IM or subQ. The IM
2 was then in the '50s for rheumatoid arthritis, but
3 then a lot of the patients showed a sign of adverse
4 events at the location, so they switched to oral.
5 But, yes, so it's been used IM.

6 In terms of oral suspension, I don't know
7 whether the compounders, they can speak on that
8 later on, whether they actually do it routinely or
9 not.

10 DR. FURLONG: Wafa, I just want to mention
11 that we didn't see any recent articles, anything in
12 the 2000s, where IM or IV formulations were used.
13 The original nomination mentioned this. When we
14 requested some clarification, what we got were two
15 new uses and a dose range, but not a route of
16 administration, so we went ahead and looked at the
17 IM route of administration and what was available
18 in the articles that had been submitted.

19 I don't see any evidence -- and Dr. Day will
20 probably be able to clarify this -- that it's being
21 used currently in parenteral formulations.

22 DR. HOAG: Yes. I just was wondering

1 because, sometimes, too, are they going to inject
2 this into a joint or something like that?

3 DR. FURLONG: We don't think so, but I think
4 we can ask the nominators.

5 DR. GULUR: Dr. Mixon?

6 MR. MIXON: In my 40 years of practice and
7 17 most recent years of full-time pharmacy
8 compounding, I've never been asked to make a
9 parenteral form of this drug.

10 DR. GULUR: Thank you.

11 DR. HARROUK: Thank you.

12 DR. GULUR: We now will proceed with the
13 nominator presentations. We have one presentation,
14 Dr. A.J. Day.

15 Nominator Presentation - A.J. Day

16 DR. DAY: Well, good morning again. As
17 another introduction, my name is A.J. Day with
18 PCCA. And to disclose conflict of interest, PCCA
19 is a chemical wholesaler who does provide
20 pregnenolone for use in compounding to community
21 pharmacies.

22 So there were some really good questions

1 that were just brought up, so as we go through my
2 presentation, I may pause a little bit and take
3 time to address some of those questions, so the
4 timing of some of the stuff. And hopefully the
5 flow makes sense for all of this.

6 As was mentioned in the original nomination
7 for pregnenolone, there were a number of
8 utilizations that were proposed. And similar to
9 the discussion with L-citrulline, we sought to
10 clarify that when FDA contacted us in July of 2017
11 looking for specific clarification on the
12 utilization of compounded pregnenolone.

13 At that time, we did specify that oral
14 formulations are compounded as adjunctive therapy
15 for positive/negative symptoms so on and so forth.
16 And the clarification also was specific not to
17 include the conditions of hypercholesterolemia or
18 rheumatoid arthritis. So when we look at our
19 presentation here, we will not address those
20 indications.

21 As noted in the FDA briefing document, the
22 FAERS and CAERS data noted that none of these

1 adverse events can be directly linked to the
2 exclusive use of pregnenolone since all of the
3 reported cases had other concomitant drugs and
4 supplements, and that's again repeated in the CAERS
5 data.

6 Again, FDA pointed out the Ritsner study in
7 which all of these patients were taking concomitant
8 medications for their disease and that no
9 significant adverse events were observed throughout
10 the duration of this study, of this trial.

11 Now, this slide, this topic came up in the
12 discussion just now. One of the studies that is
13 cited by FDA and was provided as a reference is
14 from Marx from 2009. And the discussion was how
15 much of this substance, of pregnenolone, leads to
16 an increase in DHEA, leads to an increase in
17 cortisol.

18 Now, while this was a small trial, they do
19 address that very question, and they tested each of
20 their subjects for increases in serum testosterone,
21 free testosterone, cortisol, DHEA, estradiol, and
22 androstenedione, and none of those levels increased

1 in the test subjects.

2 I actually reached out and spoke with
3 Dr. Christine Marx at Duke University, and again,
4 she operates within the confines of clinical
5 trials. That's the limitation of her expertise and
6 experience, however, she does note that she has not
7 seen any adverse events in any of the patients for
8 the various clinical trials that she's been
9 conducting and had published since 2009, including
10 those that are ongoing right now.

11 Again, more safety data from that same 2009
12 article, they talk about the studies going up to
13 500 milligrams per day, going back to the 1950s.
14 Some of those were utilizing injection
15 methodologies. Those are not what is currently
16 being compounded for.

17 This was an 8-week trial, and during the
18 8 weeks of treatment -- and all of this information
19 was reported to FDA because it's being conducted
20 under an IND. So while the reported data may be a
21 little bit limited within the trial that's been
22 published, FDA should have access to all of the

1 adverse event data for all of the different
2 patients involved in that IND. And I think it's
3 important to note that none of that was mentioned
4 as a significant safety signal in the FDA's
5 presentation.

6 In 2011, Dr. Marx also published another
7 study, and they looked at -- the only adverse event
8 reported from any of those subjects was erythema in
9 one male following an oral dose of 50 milligrams a
10 day. No significant safety signals were reported
11 from trials in schizophrenic or bipolar patients
12 where pregnenolone was used as adjunctive therapy
13 to FDA-approved drugs. This is from the FDA
14 briefing document.

15 So we have a series of studies that have
16 been shown as evidence for the clinical utilization
17 of pregnenolone in the psychiatric disorders. The
18 majority of these trials is 8 weeks with one of the
19 trials lasting 12 weeks.

20 In the Marx 2014 study, they note that
21 safety data was collected at each visit. And,
22 again, this was also conducted under an IND. So

1 all of that data, individual patient safety data,
2 should be on file with FDA. And none of that was
3 reported as a safety signal.

4 Now, before I get to this next slide, let me
5 also point out that there was some discussion about
6 the dropout rates and what might be attributing to
7 that.

8 Dropout rates in placebo-controlled and
9 active-controlled clinical trials of anti-psychotic
10 drugs, a meta-analysis; this is an article that was
11 published in 2005, and it's specifically looking at
12 anti-psychotic therapies and high rates of patient
13 dropouts in the clinical trials, even the trials
14 that are used for seeking FDA approval.

15 This was a study selection that looked at
16 double-blind, randomized, controlled trials. The
17 author on it, last name is Kemmler, Archives of
18 General Psychiatry. And they're looking at
19 randomized controlled clinical trials of second-
20 generation anti-psychotics risperidone, olanzapine,
21 quetiapine, and a few others. I won't read you the
22 whole thing.

1 The conclusion was that the use of placebo-
2 controlled design had a major effect on the dropout
3 rates observed, because high dropout rates affect
4 the generalizability of such studies. It is
5 suggested that, in addition to the placebo-
6 controlled trials, studies with alternative designs
7 need to be considered when evaluating an anti-
8 psychotic's clinical profile.

9 This is just to talk about some of the
10 limitations when we're dealing with patient
11 population that is very difficult to keep compliant
12 with their medications.

13 There have been further studies. This is
14 from the Journal of Biological Psychiatry from
15 1994, talking about the CSF neural active steroids
16 in affective disorders, pregnenolone, progesterone,
17 and DBI. In the conclusion of this study, they
18 mentioned that CSF pregnenolone is decreased in
19 subjects with affective illness, particularly
20 during episodes of active depression.

21 So here we have a little bit more data on
22 the natural biological impact of these hormone

1 pathways in psychiatric disorders.

2 One thing that I wanted to understand is,
3 when FDA talks about limitations on the data that
4 is available, what kind of expectation should we
5 have for this? What's the standard of care?
6 What's the standard of analysis?

7 So I chose two of the more recently approved
8 FDA anti-psychotics. This information is publicly
9 available. You have the URL on your screen. In
10 the FDA approval documentation for the NDA for
11 ziprasidone, for example, they concluded that 3 out
12 of 4 short-term -- that were 4 to 6 weeks -- fixed-
13 dose placebo-controlled trials showed superior
14 efficacy of ziprasidone over placebo. Then in
15 addition to that, they had one study that was 52
16 weeks that was not an active control study, but was
17 just placebo controlled.

18 So the clinical efficacy of this drug was
19 determined from 3 out of 4 short-term trials, 2 of
20 them lasting 4 weeks, 2 of them lasting 6 weeks.
21 One of them did not show superior efficacy of
22 ziprasidone, yet the drug was still approved. The

1 clinical efficacy was based off of those short-term
2 trials. And here, you've seen a series of trials
3 that were 8 to 12 weeks for pregnenolone.

4 I'd also note that pregnenolone is not an
5 item that's going to be patentable and not going to
6 have market exclusivity. So the motivation, the
7 return on investment for a sponsor to conduct such
8 large-scale clinical trials is markedly different
9 from what you're looking at with ziprasidone.

10 Again, looking at the next drug, quetiapine,
11 three short-term 6-week controlled trials on
12 inpatients. None of these were ambulatory
13 patients, patients in a community setting, for
14 which compliance is a much more significant issue
15 and keeping them to be on that regimen. The
16 adolescent approval for quetiapine is demonstrated
17 based off of a single 6-week double-blind placebo-
18 controlled trial.

19 Looking at the level of evidence, looking at
20 the bar that we set in how we accepted the type of
21 data for the type of patients that we're looking at
22 must be kept into perspective. So this discussion

1 about there being multiple FDA-approved products
2 indicated to treat these conditions proposed
3 suggests that the products that are out there are
4 both safe and effective for the patients that we're
5 seeing. And we know that, in reality, we're not
6 adequately taking care of the mental health of
7 these patients for both bipolar disorders as well
8 as the general schizophrenic patients.

9 From the Journal of Clinical Psychiatry, we
10 have an article talking about concerns about these
11 adverse events have been replaced by concerns about
12 metabolic side effects.

13 One of the challenges with this particular
14 meeting today is the level of short notice. So
15 we've got a holiday week and we had three weeks
16 notice about both the actual meeting and what was
17 going to get be discussed.

18 As such, we spoke with a number of
19 psychiatrists about their clinical utilization of
20 pregnenolone as a component of therapy, and a few
21 of them wanted to be here, but could not rearrange
22 their patient care schedules to be here. And one

1 of them was able to provide me with some comments,
2 which I'd like to read to you now.

3 "My name is Dr. Elizabeth Stuller. I'm an
4 American Board of Psychiatry and neurology double
5 board-certified adult and addiction psychiatrist.
6 During the course of each year, I have practiced in
7 private and public hospital psychiatry, working
8 within the inner city, middle class, and rich
9 subgroups of our American population. As a result,
10 I have been witness to both traditional and
11 integrative models of medicine, pharmaceutical
12 access, and insurance access in all sectors.

13 "In the addiction psychiatry field, my
14 patients are very complex, with multiple
15 morbidities and mortalities. The inner-city
16 population patient is often on 3 to 5 substances of
17 abuse or dependence, namely alcohol, tobacco,
18 cannabis, and often crack cocaine, and heroin.
19 They often have an impaired hepatic metabolism
20 secondary to hepatitis C or immune dysfunction
21 secondary to HIV.

22 "They often have comorbid medical conditions

1 such as hypertension, hypercholesterolemia,
2 obesity, and taking multiple medications for these
3 conditions. The stable diet of the inner city is
4 mainly cereal and milk.

5 "In private practice, I see both middle
6 class and wealthy patients often with secretive
7 alcohol, amphetamine, and cannabis use and more
8 economical access to designer drugs such as
9 ecstasy, K-2, and Spice, which are cannabis
10 synthetics known to induce psychosis.

11 "The rich have better access to better
12 prescription drugs and are often plagued with
13 opioid dependence and better, faster internet
14 access to overseas internet clandestine chemistry.

15 "Patients with severe mental illness such as
16 schizophrenia have a reduced life expectancy
17 compared to the general population. They have a
18 two- to threefold increased risk of dying and this
19 mortality gap associated with mental illness,
20 compared to the general population, has widened in
21 recent years. People with severe mental illness
22 have nearly twice the normal risk of dying from

1 cardiovascular disease and are more likely to be
2 overweight, smoke, have diabetes, hypertension, and
3 dyslipidemias.

4 "Schizophrenia is an incredibly complex
5 disorder that has increasingly been recognized as
6 having multi-factorial etiologies and increasingly
7 being viewed from a developmental perspective per
8 the National Institute of Health, although its
9 prevalence is only 1.1 percent of the U.S.
10 population.

11 "Schizophrenia can affect children and
12 adults and often occurs in the late teens.
13 Although there are many FDA-approved anti-psychotic
14 medications to treat schizophrenia, as noted in
15 your FDA report, these medications present their
16 own risks, including a significant risk of
17 metabolic syndrome.

18 "Over recent years, research shows that
19 anti-psychotics can have a negative impact on
20 traditional modifiable risk factors. In a systemic
21 review of the literature, since 2003, identified in
22 PubMed, using metabolic syndrome, anti-psychotics,

1 schizophrenia, and psychotic disorders, prevalence
2 and incidence of metabolic syndrome, including
3 comparison of different ethnic groups, show a
4 direct correlation secondary to the use of anti-
5 psychotic medications.

6 "Additionally, despite the advances in our
7 anti-psychotic medications, the total clinical
8 response remains insufficient. When response to
9 anti-psychotics is inadequate, augmentation
10 strategies are often implemented.

11 "Additional barriers in our patient
12 population with severe mental illness and addiction
13 includes increased incidence of medication non-
14 adherence, incident likelihood of discontinuing
15 treatment, increased difficulty in recruiting and
16 retaining subject for long-term research trials due
17 to chaotic lifestyles, and financial barriers and
18 decreased access to healthcare.

19 "Therefore, I would like to present a more
20 practical clinical view of the FDA's review
21 concerning pregnenolone. Pregnenolone is
22 considered a neurosteroid that is naturally

1 produced in the brain, adrenal glands, and gonads.
2 Pregnenolone acts as a signaling molecule for
3 neocortical organization during brain development
4 and has extremely important neuromodulating effects
5 on the GABA-A and MDA signal 1 cholinergic and
6 dopamine symptoms.

7 "Pregnenolone helps to regulate the growth
8 of neurons and cerebral brain-derived neurotrophic
9 factor levels, enhances myelination and
10 synaptogenesis, and is considered to have
11 neuroprotective properties.

12 "Ongoing clinical evidence suggests that
13 pregnenolone and its metabolites are involved in
14 this pathophysiology of schizophrenia, mood
15 disorders, dementia, and substance abuse. Low
16 circulating levels of pregnenolone has also been
17 correlated in elderly patients with dementia,
18 patients with major depression, anxiety disorders,
19 and in chronically medicated schizophrenic
20 patients.

21 "According to the FDA report I reviewed, the
22 FDA adverse event reporting system, reviews from

1 January 2000 to June 2017 showed that no adverse
2 events could be directly linked to the exclusive
3 use of pregnenolone. The CFSAN report, which
4 collects reports for adverse events involving food,
5 cosmetics, and dietary supplements, concluded that
6 adverse events in the multi-ingredient reports
7 could not be attributed to pregnenolone.

8 "No significant safety signals were reported
9 from trials of schizophrenic or bipolar patients,
10 where pregnenolone was used as an adjunctive
11 therapy to FDA-approved drugs, yet FDA concluded
12 that we do not find clinical safety of pregnenolone
13 adequately supported.

14 "In the Ritsner study of 2011, in which
15 pregnenolone was used as an adjunct medication for
16 schizophrenic and schizoaffective patients, no
17 significant adverse events were observed. As noted
18 earlier, a barrier in psychiatric studies, for
19 example, as the schizophrenic prevalence in the
20 U.S. is only 1.1 percent, smaller sample sizes
21 should be expected.

22 "With a retention rate of about 76 percent

1 in the 2011 Ritsner study, given the difficulty of
2 patient retention in schizophrenic research trials,
3 I would consider this a credible study.
4 Additionally, many mentally ill patients tend to
5 somatize their mental illness, and, again, no
6 significant adverse events should be considered an
7 important report, given the difficulties with our
8 patient population."

9 Dr. Stuller goes on to cite numerous
10 clinical trials of anti-psychotic drugs with
11 dropout rates of 33 percent to 50 percent. And
12 these high dropout rates give rise to considerable
13 problems concerning the generalizability of results
14 obtained from the randomized clinical trials.

15 As patient retention continues to be a
16 barrier to treatment, other 8-week study by
17 Dr. Kardashev, adjunct pregnenolone with L-theanine
18 was shown to relieve both negative and anxiety
19 symptoms in schizophrenia and schizoaffective
20 disorders. Adjuncts which improve both negative
21 symptoms and patient retention should be of great
22 value and not readily abandoned by the FDA.

1 Now, Dr. Stuller wanted to be here to
2 present. When we asked if she would be able to
3 call in for the meeting, participate via phone
4 call, we were instructed that, no; all of the
5 nominator presentations must be in person.

6 This is particularly disappointing knowing
7 that we have three voting members of the committee
8 who are participating by phone today. Had she been
9 able to be on the phone, I'm sure she would have
10 loved to answer any questions you have about
11 material that I just read to you.

12 Again, there are more instances of harm from
13 FDA-approved medications. This is all published
14 literature, even as recently as January of this
15 year, treatment failure. Psychosis relapse was the
16 most frequent outcome in the most key studies,
17 ranging from 38 to 93 percent in some of these
18 clinical trials, high rates of concomitant
19 medications to manage these drug adverse events.

20 So you have patients who are receiving these
21 FDA-approved anti-psychotics, and to manage the
22 adverse events, they're taking multiple concomitant

1 medications. It becomes a snowball effect, and the
2 financial burden on these patients contributes to
3 their lack of compliance with their medication
4 therapy.

5 These are some of the key points addressed
6 from that specific article; treatment failure the
7 most frequent outcome.

8 This was a post by the former NIMH director,
9 Thomas Insel, on the anti-psychotics. "We realize
10 that for too many people, today's treatments are
11 not good enough. A hundred years after defining
12 the disorder and 50 years after breakthrough
13 medications, we still have much to learn."

14 In terms of some information about the
15 source of pregnenolone that we utilize in
16 compounding, we do get it from an FDA-registered
17 and inspected CGMP facility. Regarding the
18 presence of some of the information on the
19 certificate of analysis, the microbial information
20 is pretty standard. We have that on lots of
21 different chemicals regardless of its intended use
22 as a sterile product or oral non-sterile and

1 anything of that nature. Oftentimes, these are
2 simply being compliant with USP chapter 61 and 62,
3 I believe.

4 Hopefully, I've addressed a few of the
5 discussion points that also came up previously, and
6 if there are questions, I'm happy to stick around.

7 Clarifying Questions from the Committee

8 DR. GULUR: We will now accept clarifying
9 questions.

10 DR. GANLEY: I just want to clarify one
11 point. Dr. Day had suggested we can go into an
12 IND, and look at it, and present the information.
13 At this meeting, we're not able to, just as you
14 were limited to what's available in the public
15 domain.

16 The other thing is, I would suggest
17 Dr. Stuller submit the comments to the public
18 docket for this and any other information she wants
19 to do. And just to clarify some things you'd
20 mentioned about ziprasidone, I'm just looking into
21 the package insert, because you inferred that there
22 was only about 300 long-term patients studied.

1 It says in the package insert, clinical
2 trials for oral ziprasidone included approximately
3 5700 patients and/or normal subjects exposed to 1
4 or more doses of ziprasidone. Of these 5700, over
5 4800 were patients who participated in multiple
6 dose effectiveness trials, and their experience
7 corresponded to approximately 1831 patient-years.

8 I could go on and list it. So I just want
9 to be clear that the information that was presented
10 by Dr. Day isn't the complete information for
11 ziprasidone. There's a lot of information on
12 ziprasidone.

13 DR. GULUR: Dr. Day, I do have a question
14 similarly. You had mentioned in the FDA products,
15 the two products that you had mentioned, which were
16 approved with short-term clinical efficacy trials,
17 what were the safety durations that they looked at?
18 Were they also 8 weeks? Did they look at those?
19 And if the FDA has more information, they could
20 share it as well.

21 DR. DAY: The safety trial I believe on
22 ziprasidone was 52 weeks. On quetiapine, I did not

1 see that information on the link that I had access
2 to.

3 DR. GULUR: Another question I have is that
4 you've quoted Christine Marx's article from 2009.
5 She has a subsequent article in 2011, I believe.
6 Have you had a chance to review that as well?

7 DR. DAY: I have.

8 DR. GULUR: She concludes by saying, "Future
9 efforts and larger cohorts will be required to
10 investigate pregnenolone as a possible therapeutic
11 candidate in schizophrenia. Early efforts are
12 promising, but merit further investigation." And
13 this was a 2011 article that's there.

14 DR. DAY: Correct.

15 DR. GULUR: So I guess my clarifying
16 question is how do we come to the conclusion that
17 she found this effective for schizophrenia or was
18 recommending it for that?

19 DR. DAY: So Dr. Marx continues to be
20 engaged with clinical trials utilizing
21 pregnenolone, and her field is clinical trials.
22 She does not operate outside of a clinical trial

1 setting for pregnenolone and does not recommend it
2 outside of the clinical trials; although she does
3 understand that, clinically and in practice and
4 community settings, in particular, that
5 psychiatrists have been recommending pregnenolone
6 supplements for their patients for a number of
7 years, and she does not have any concerns about the
8 safety signaling or potential adjunctive efficacy
9 of it.

10 As you will see in nearly every clinical
11 trial you will review, the conclusion typically
12 does say that more studies are needed. That is
13 particularly noticeable, given the scale of the
14 studies on pregnenolone.

15 So while the studies are notably small in
16 scope, the safety profile, the fact that it is an
17 endogenous hormone, when we look at some of the
18 discussions on potential downstream effects that
19 talked about the 2009 Marx study, where she
20 actually looked at serum levels of some of those
21 downstream hormones, we have two FDA-approved
22 products that are in that hormone cascade.

1 Oral progesterone is the very next metabolic
2 byproduct of pregnenolone, so any concerns about
3 the increase in cortisol and other sex hormones
4 would be also likely more noticeable with the
5 manufactured product of oral progesterone capsule
6 because it's going through the same metabolic
7 pathways. We also have now a newly FDA-approved
8 vaginal product for DHEA. So there's also safety
9 data on the downstream metabolic products of DHEA
10 supplementation.

11 Part of the reason that we feel that this
12 substance would be best available for patients
13 through compounding is because of potential side
14 effects, because of its role as a steroid hormone,
15 as a hormone precursor. We feel that needs to be
16 in a patient's chart. There needs to be adequate
17 patient consultations with that, and that's the
18 process that undergoes compounding medications.

19 So you can have a thorough review as well as
20 adverse event counseling and monitoring within the
21 triad of care, with your physician, the patient,
22 and the pharmacist. When it's available solely as

1 a dietary supplement, you lose that.

2 You may have a teenager working in a
3 supplement store who really has no education or
4 knowledge about those adverse events, and we really
5 hope that a substance such as pregnenolone can be
6 available for the patients who need it, but with
7 proper and appropriate oversight. And that's where
8 having it approved and custom made by pharmacists
9 seems to be more appropriate than the dietary
10 supplement alternative.

11 DR. GULUR: Thank you. I have one further
12 question. Are you familiar with the paper of the
13 randomized double-blind placebo-controlled trial of
14 pregnenolone for bipolar depression? That's a 2014
15 article by Brown, et al.

16 DR. DAY: Yes.

17 DR. GULUR: In that article, they conclude
18 or in their discussion say that orally administered
19 pregnenolone has a complicated metabolism and may
20 not be the most efficient method of increasing
21 levels of pregnenolone and other neuroactive
22 steroids in the brain.

1 In fact, they go further to say, in the
2 future, synthetic, which is viral gene delivery,
3 approaches should be considered. Could you comment
4 on that?

5 DR. DAY: Yes. I think some of that
6 supports what Marx found in her 2009 study, that
7 you're not necessarily increasing your downstream
8 hormone production, whether it's cortisol, or DHEA,
9 or testosterone from orally administered
10 pregnenolone. The effect on behavioral
11 modifications for these specific schizophrenic or
12 bipolar patients is not necessarily attributable to
13 those downstream hormone production effects.

14 DR. GULUR: So what is it attributable to?

15 DR. DAY: I can't say with certainty. I
16 think that's the purpose of some of these clinical
17 trials, but I believe that what we're seeing, as
18 FDA noted, is that there's a consistent trend
19 towards an effect being produced.

20 DR. GULUR: Any further clarifying
21 questions? We have Dr. Wall on the phone who has a
22 question.

1 DR. WALL: Thank you very much. A question
2 for you, and you sort of brought it up yourself.
3 But you talked about patients need to be
4 appropriately counseled when you're looking at
5 medications.

6 Can you please share what is currently, in
7 your experience, the routine counseling and sharing
8 of what adverse events are possible and side
9 effects patients may see when you're using these
10 drugs for really long-term, chronic use?

11 DR. DAY: So in terms of the potential
12 adverse events, I believe that was something that
13 was addressed in the FDA's round of discussion.
14 And of note, I feel like a lot of those were
15 adverse event profiles from FDA-approved progestins
16 and synthetics, so conjugated estrogens, and
17 esterified estrogens, and synthetic progestins as
18 opposed to the molecules that we're talking about
19 here, which their structure is not as a synthetic.
20 It's an identical chemical structure to what our
21 body produces endogenously.

22 So the downstream effects could potentially

1 be, as was mentioned, everything from elevated
2 cortisol type of effects from endogenously
3 produced. And there are negative feedback loops,
4 as was talked about, for maintaining homeostasis
5 from the endogenous production versus exogenously
6 supplemented hormones.

7 But the patient counseling has to do with
8 everything from your typical sex hormone
9 supplementation, so your night sweats and hot
10 flashes, to some of your more cortisol-derived
11 adverse events.

12 DR. WALL: So would you say that those
13 things are routinely being discussed with the
14 patients and they are routinely being monitored by
15 that front-line pharmacist who is taking care of
16 them?

17 DR. DAY: They should be, and I would argue
18 that, yes, they are, but I cannot state that
19 definitively because I'm not necessarily the one
20 dispensing and interacting with those patients.

21 DR. WALL: Thank you.

22 DR. GULUR: Dr. Mixon?

1 MR. MIXON: Is it appropriate for me to
2 respond to Dr. Wall's question?

3 DR. GULUR: Yes

4 MR. MIXON: The likelihood that patient-
5 focused conversation is going to occur is much more
6 likely with a compounded preparation than it is
7 with somebody just going to Walmart and buying a
8 bottle of a supplement off the shelf, which I just
9 want to remind everybody, this drug is widely
10 available in the over-the-counter market.

11 DR. GULUR: Dr. Furlong?

12 DR. FURLONG: I just wanted to make a
13 clarification. There are FDA-approved estradiol
14 and progesterone products, so although there are a
15 lot of synthetics, synthetics are usually modified,
16 for example, so that they're better absorbed
17 orally.

18 Every FDA-approved product, we know, with
19 each approved product, whether they're generic or
20 new drugs, how much of the actual active ingredient
21 is delivered and at what rate that required a study
22 pre-marketing.

1 Open Public Hearing

2 DR. GULUR: Thank you, Dr. Day.

3 We will now proceed to hear open public
4 hearing speakers. I will read the following OPH
5 statement into the record.

6 Both the Food and Drug Administration and
7 the public believe in a transparent process for
8 information-gathering and decision-making. To
9 ensure such transparency of the open public hearing
10 session of the advisory committee meeting, FDA
11 believes it is important to understand the context
12 of an individual's presentation.

13 For this reason, FDA encourages you, the
14 open public hearing speaker, at the beginning of
15 your written or oral statement, to advise the
16 committee of any financial relationship that you
17 may have with a sponsor, its product, and if known,
18 its direct competitors.

19 For example, this financial information may
20 include the payment by a bulk drug supplier or
21 compounding pharmacy of your travel, lodging, or
22 other expenses in connection with your attendance

1 at the meeting. Likewise, FDA encourages you, at
2 the beginning of your statement, to advise the
3 committee if you do not have any such financial
4 relationships.

5 If you choose not to address this issue of
6 financial relationships at the beginning of your
7 statement, it will not preclude you from speaking.
8 The FDA and this committee place great importance
9 in the open public hearing process. The insights
10 and comments provided can help the agency and this
11 committee in their consideration of the issues
12 before them.

13 That said, in many instances and for many
14 topics, there will be a variety of opinions. One
15 of our goals today is for this open public hearing
16 to be conducted in a fair and open way, where every
17 participant is listened to carefully, and treated
18 with dignity, courtesy, and respect. Therefore,
19 please speak only when recognized by the chair.
20 Thank you for your cooperation.

21 Will the open public hearing speaker
22 number 1 step up to the podium?

1 COL JOHNSON: Good morning. I want to
2 first-off wish everyone a happy Thanksgiving as we
3 go into our Thanksgiving week. I want to thank the
4 PCAC for this opportunity, FDA, and members of the
5 gallery as well.

6 My name is Colonel Air Force Retired Jeffrey
7 A. Johnson. I am a compounding pharmacist that has
8 been compounding as a pharmacist for over 40 years,
9 30 years in the military. I am a paid consultant
10 with MEDISCA, and I am here on that behalf.

11 As far as what we're looking at today, I'm
12 really just going to kind of foot-stomp a couple of
13 things that caught my attention as Dr. Day was
14 sharing his -- and give me a second while I get
15 back to my notes. Thank you.

16 I think what I really want to focus on is
17 that it hit me there were four specific things that
18 really, I think, he highlighted very well. The
19 FDA's presentation was very, very good. But I
20 really think that there's an issue that we need to
21 be cognizant of, is that sometimes we have a
22 tendency with some of the smaller studies to just

1 consider that they're not relevant. And that's not
2 true because they are relevant, and I think we have
3 to keep that in mind.

4 As he pointed out very, very well, that the
5 level of evidence -- we just need to keep in mind
6 that we need to be balanced as we use that in our
7 consideration. It is good when you have a study
8 that has a lot of patients in it, multiple arms
9 that you can go back and look at. But to say that
10 a study is insignificant if it only had, say, 50
11 patients, I'm not sure we can do that.

12 Also, I think that sometimes it gets a
13 little distressing as a practicing pharmacist and a
14 practicing compounding pharmacist, that it seems
15 like there is a generic shield that gets thrown up
16 that there's multiple FDA-approved prescriptions
17 for that therapy and that a dietary supplement is
18 available instead of the compound.

19 I am going to be speaking later on
20 resveratrol, and I have a slide that will deal with
21 that at that point. But I think there are some
22 really important things. One was made by one of

1 our PCAC members that having a compounding
2 pharmacist that has done that, you can be assured
3 of the quality and the purity, and that they are
4 going to be doing that counseling at a very, very
5 high rate and a very high level of intensity with
6 that patient. Talking about the triad between the
7 patient, the pharmacist, and provider, that's what
8 a compounding pharmacist offers in that situation.

9 Then again, going back to the adequate
10 support, I do think that, if you take all those
11 studies into account, there is adequate support to
12 what PCCA is saying as far as pregnenolone.

13 That's really all I have at this point. I'm
14 open to any questions.

15 DR. GULUR: Any questions for the committee
16 members?

17 (No response.)

18 DR. GULUR: Thank you very much.

19 COL JOHNSON: Thank you, ma'am.

20 DR. GULUR: The open public hearing portion
21 of this meeting has now concluded and we will no
22 longer take comments from the audience. We will

1 now begin the panel discussion on pregnenolone.

2 Any comments from our committee members,

3 Dr. Braunstein?

4 DR. BRAUNSTEIN: So I'm going to direct this
5 at FDA, and I'm a little torn betwixt and between
6 on this one. But given 21st-century cures and a
7 disease where there is a great unmet need, current
8 therapies are inadequate, how do we justify taking
9 something out of the hands of physicians and
10 patients when it's not clearly a highly toxic
11 substance?

12 DR. FURLONG: I may have to call on my help
13 line here. Dr. Kim, I don't know if you have any
14 thoughts on it, and we have a couple of
15 psychiatrists here. This will not affect its
16 availability as a dietary supplement. I think you
17 should be aware of that, and physicians can still
18 use it or recommend it if they wish.

19 The dietary supplement dose ranges are
20 within the ranges that are described in the
21 nomination, but let me just defer to Dr. Kim.

22 DR. KIM: Yes. Just to agree with

1 Dr. Furlong, it's still going to be available. The
2 issue is, when we approve a drug for use in the
3 general public, it has to be shown to be both
4 effective and safe. And the bar for evidence in
5 terms of showing that this drug works for the
6 intended use in schizophrenia and bipolar has not
7 been met.

8 DR. BRAUNSTEIN: I just want to follow up on
9 that because my understanding is that there is no
10 positive list of drugs that the FDA states has been
11 proven to be safe and effective. Right?

12 Is that what this list is intended -- when
13 we put a drug on the list, are we actually saying
14 that it's proven to be safe and effective or that
15 it simply can be used in compounding?

16 MS. BORMEL: No, we're not saying that. The
17 standard for the bulks list is not the same as that
18 of an FDA-approved drug. When we're looking at the
19 bulks list, we're looking at all the criteria that
20 we've set out and making an assessment based on
21 balancing those criteria. So that is what our
22 reviewers have done.

1 Remember that once we make a decision at the
2 advisory committee, still the bulk substances have
3 to be proposed in the Federal Register notice as a
4 proposed rulemaking, and we would get comments for
5 that, just to give you the whole process. But the
6 standard, you're correct, is not the same as -- the
7 standard for putting drugs on the 503A bulks list
8 is not the same standard as an FDA-approved drug.

9 DR. KIM: Right. I guess I'm speaking more
10 regarding to the existing therapies that have been
11 approved, not necessarily the bulk ones.

12 DR. GULUR: Dr. Nixon?

13 MR. MIXON: We know that treating patients
14 with rare diseases or uncommon condition, or rare
15 conditions, is not a perfect science. This is why
16 physicians prescribe compounding medications and
17 pharmacists prepare them.

18 We can't begin to predict all the
19 circumstances where something may need to be
20 compounded. To me, it's very imperfect to take a
21 dietary substance off the shelf to use in a
22 compounded medication. I would much rather have

1 the pure active ingredient, where I have the
2 certificate of analysis and can review it and
3 compare it to standards.

4 First of all, if I had a vote on this
5 committee, I would vote to have this drug or not
6 restrict its use in compounding. Second of all,
7 I'd just ask that the committee understand that
8 this is one tool that we have in our toolbox.
9 Physicians can prescribe it. We can compound it.

10 I would ask that that be maintained because
11 we can't predict. We're talking about people with
12 rare conditions sometimes. Many times, it's to
13 balance hormones. It's just another tool that we
14 need, and there's no reason not to allow it to be
15 used, in my opinion.

16 DR. GULUR: Dr. Davidson?

17 MS. DAVIDSON: Is there any evidence about
18 the quality of the pregnenolone dietary
19 supplements? There was obviously a quality signal
20 with L-citrulline, but are there any reports? I
21 searched prior to the meeting, and I couldn't find
22 anything specific. But I wondered if there had

1 been problems with these dietary supplements. I
2 know the California warning is in effect, but I
3 think that's in general for steroid precursors.

4 DR. FURLONG: We didn't find anything in the
5 CAERS database, and we don't have anyone from CFSAN
6 here who could discuss that.

7 MS. BORMEL: We do have someone from CFSAN
8 here.

9 DR. WELCH: My name is Kara Welsh. I'm from
10 Office of Dietary Supplement Programs at CFSAN. We
11 don't necessarily have a picture of the quality of
12 the dietary supplements that are currently on the
13 market.

14 There are requirements, manufacturing
15 requirements, labeling and safety requirements, and
16 they're confirmed by regular inspections by FDA
17 personnel, but there isn't necessarily a barrier to
18 market that they have to proactively come to FDA
19 before getting their products, putting their
20 products on the market?

21 MS. DAVIDSON: Can I ask you one more
22 question? So is it possible that an on-the-shelf

1 dietary supplement of pregnenolone could contain
2 some of the metabolites that are definitely
3 connected to the adverse events.

4 DR. WELCH: Certainly always a possibility.
5 They do have requirements, manufacturing
6 requirements, the good manufacturing practice
7 requirements. They do have to meet identity,
8 purity, strength, composition, and limits on those
9 types of contaminants that may adulterate the
10 finished product. Those are all finished product
11 specifications.

12 So those are requirements established by
13 CGMPs. They are confirmed if a facility is
14 inspected, but again, we don't necessarily get
15 those reports in advance of a product going on the
16 market.

17 MS. DAVIDSON: Just to clarify, that is for
18 dietary supplements as well as approved products?

19 DR. WELCH: I am only speaking about dietary
20 supplements.

21 MS. DAVIDSON: Okay.

22 DR. FURLONG: I would like to clarify,

1 though, that that's also true of compounded
2 products. We don't do a pre-marketing review of
3 compounded products or dietary supplements. We do
4 a pre-marketing review of approved new drugs,
5 engineered products.

6 MS. DAVIDSON: And the only difference being
7 that compounds are prescription only, and dietary
8 supplements pretty much drop off the therapeutic
9 radar if people just go buy them off the shelf.

10 DR. FURLONG: That's correct. Yes.

11 DR. GULUR: Dr. Hoag?

12 DR. HOAG: Maybe a question and a comment.
13 One thing with the DSHEA, it depends what's claimed
14 on the bottle because they have all those CGMPs,
15 but they may not claim that there's a dissolution
16 rate, and this drug with a low solubility, if it's
17 not micronized or something. But it would still
18 meet the requirements, but it may not perform as a
19 drug product because they don't claim it that way.

20 DR. WELCH: The labelings are different than
21 the CGMP requirements. You're right.

22 DR. HOAG: So if they don't make a claim,

1 there's no requirement to meet that.

2 DR. WELCH: There is a requirement in the
3 good manufacturing practices separate from the
4 labeling requirements. They don't have to put it
5 on the label, that it has -- they don't necessarily
6 have to put all of the contaminants and all of the
7 exact purity on the label or the dissolution
8 requirements, but in their internal documentation,
9 the CGMP documentation, they do need to have
10 identity, purity, strength, composition, and limits
11 on contaminants. They have to have a specification
12 and testing methodology that they've met those
13 specifications for finished products.

14 DR. HOAG: Yes, because I think those all
15 have to do with the safety, but do they have to do
16 with the performance of the product in terms of a
17 drug product?

18 DR. WELCH: Well, I'm only speaking to
19 dietary supplements, but many manufacturers do have
20 those performance requirements as specifications
21 and establish them as well as demonstrate that
22 they've met them in their CGMP documentation.

1 DR. HOAG: But that may or may not be a
2 requirement, as I understand it.

3 DR. WELCH: Thinking specifically,
4 dissolution isn't necessarily a predetermined
5 requirement. It's not written into the dietary
6 supplement CGMPs, but it is a commonly found
7 specification and verification.

8 DR. HOAG: But it's hard for a patient to
9 know whether that was a requirement unless they
10 claim it on the bottle.

11 DR. WELCH: Correct.

12 DR. HOAG: Then my question actually was,
13 how does the dietary supplement requirements
14 compare to this list of compounding? Are there
15 differences, the same requirements, different?
16 What are the differences between these two lists?

17 DR. WELCH: I think someone from the table
18 should probably answer that first.

19 DR. FURLONG: Yes. I don't have any
20 particular expertise in nutritional supplements. I
21 can say that in both spaces, though, there is no
22 pre-marketing review, and we're not putting on the

1 market particular products that have been assessed
2 for delivery, bioequivalence, or even
3 bioavailability.

4 You don't know when you're purchasing these,
5 either drugs or nutritional supplements, how much
6 of the ingredient is actually bioavailable to the
7 patient.

8 DR. WELCH: Then from the dietary supplement
9 perspective, FDA is largely focused on the safety
10 and the quality of the products, safety first and
11 foremost, the quality specifically related to the
12 CGMP requirements, labeling requirements. But they
13 are considered a category of food, so largely on
14 safety, not on efficacy.

15 DR. GULUR: Dr. Ganley?

16 DR. GANLEY: Yes. I just want to clarify
17 that by the way the question was asked, that there
18 are certain requirements on compounded drugs to
19 show these things, and they're not. They're not
20 required to be manufactured under good
21 manufacturing practices.

22 They have to follow chapter 795 in USP for

1 compounding non-sterile products. And I don't
2 recall there being any issue or any requirement in
3 there that they have to do dissolution testing or
4 solubility testing of those products.

5 So to suggest otherwise is just not accurate
6 because we don't know. Someone could create a
7 tablet that's much harder and is not going to
8 dissolve, but there's not a requirement that they
9 actually do the testing on that.

10 DR. DOHM: I would just briefly add to that,
11 that the requirements of USP are going to be
12 dependent on state law. So whether or not the
13 state law imposes the requirement that you have to
14 comply with, USP 795 or otherwise, will vary.

15 DR. GULUR: I'd like to reach out to the
16 members on the phone. Dr. Fiedorowicz, do you have
17 any comments for this discussion?

18 DR. FIEDOROWICZ: I don't have any comments
19 at this time, but I would like some clarity from
20 the FDA about how to balance the four criteria or
21 if there's any precedence on balancing them.

22 DR. DOHM: I think I might need a little

1 help with the question. So obviously, in terms of
2 balancing, it's a balancing test, so each factor
3 will be weighed in one way or another in a given
4 situation. As for precedent, obviously this is the
5 eighth advisory committee meeting, so we have many
6 examples of where we've found things in various
7 ways over the years.

8 So is there something in particular you are
9 asking for guidance about in terms of the balancing
10 or a particular precedent you might be looking for,
11 such as a situation where we have potential safety
12 concerns about chronic use, and not very good
13 evidence of effectiveness, and how we've weighed
14 that in the past?

15 DR. FIEDOROWICZ: That would be helpful.

16 DR. DOHM: I'd have to look back at
17 particular substances, but I believe in the past,
18 we have looked at safety concerns associated with
19 chronic use and situations in which there's little
20 to no effectiveness evidence, and then also some
21 historical use in compounding and very relatively
22 few concerns about physical and chemical

1 characteristics and advised against putting it on
2 the list.

3 Is that fair to say? Yes, others are
4 nodding.

5 DR. FIEDOROWICZ: Thank you.

6 DR. GULUR: Dr. Venitz, you had a question?

7 DR. VENITZ: Yes. This is a clarification
8 question for the FDA. I understand from Dr. Day's
9 presentation that there's an IND ongoing with the
10 drug of interest, and I understand that you can't
11 give us any information about what's actually going
12 on with the IND, so let me ask you a generic
13 question.

14 Let's assume this drug is going to be kept
15 on the list so it can be compounded. At the same
16 time, an IND turns into an NDA, and we have an
17 approved drug product. What's going to happen to
18 the drug that is then still on the to-be-compounded
19 list?

20 MS. BORMEL: Dr. Venitz, are you asking if a
21 drug is subsequently approved that was on the bulks
22 list?

1 DR. VENITZ: Correct.

2 MS. BORMEL: The statute under 503A provides
3 for the use of a bulk substance that is a component
4 of an FDA-approved product. So if something that's
5 on the bulks list is subsequently approved by the
6 agency in a dosage form, then it would be able to
7 be compounded under 503A.

8 DR. GULUR: I'd like clarification on that
9 to the opposite. If the IND is not approved, if
10 there are safety concerns that are revealed in that
11 process, how would that reflect on the 503A
12 process?

13 MS. BORMEL: If the bulk substance was on
14 the list?

15 DR. GULUR: Yes.

16 MS. BORMEL: Well, I think the agency,
17 depending on what type of safety concerns were
18 available and what happens if it were already on
19 the list, anything that we do is by rulemaking. So
20 if we had concerns, and we found out about them,
21 and let's say there was information that was
22 available publicly, then we might bring it back to

1 the committee or we may consider another category
2 for the product, because we do have a category 2
3 for known safety risks for bulk substances that are
4 known to have safety concerns.

5 DR. GANLEY: Could I clarify something, too?
6 There have been situations where we were aware of
7 some safety issues in an IND. The only situations
8 that we can publicize are if that was already in
9 the public domain.

10 So the situation that you explained, I don't
11 know. It becomes a legal issue, whether we could
12 divulge the information in an IND, because they're
13 confidential. So if there were safety issues and
14 it wasn't in the public domain, we'd have to get
15 our legal staff to give us some type of authority
16 to make that information available publicly.

17 So it's not that we would necessarily go out
18 with a proposed rule stating if there's a
19 determination that we can't make that information
20 public, to go back and revisit that list. At
21 least, that's my interpretation.

22 DR. DOHM: I think that's right. I mean,

1 obviously, if we had information in our hands that
2 suggested that there was a significant safety
3 concern that wasn't available to us at the time in
4 which we put something on the list, we'd have to
5 find a mechanism to address that safety concern, be
6 it through rulemaking or otherwise.

7 DR. GULUR: Dr. Bogner?

8 DR. BOGNER: Robin Bogner. Maybe you can
9 help me. I'm going to ask a question that seems
10 like an obvious one. So if we do not put this on
11 the list, it cannot be compounded with?

12 MS. BORMEL: That is correct.

13 DR. BOGNER: So what we are telling patients
14 in the United States is that you can take this
15 supplement on your own without any input, but you
16 cannot get it from a compound pharmacist pursuant
17 to a prescription from somebody who's giving you
18 primary care?

19 DR. GULUR: I'll let the FDA -- yes. Go
20 ahead.

21 MS. BORMEL: The thing to keep in mind is
22 that a dietary supplement is basically a food.

1 It's not intended to treat or mitigate a disease.
2 And when we put a bulk substance on the 503A bulks
3 list, that is intended to be used as a drug.

4 So there's a difference. There's a legal
5 distinction between when something is available as
6 a dietary supplement, which is a whole different
7 regulatory scheme than when something is placed on
8 the 503A bulks list.

9 DR. GULUR: Dr. Jungman?

10 MS. JUNGMAN: Could you maybe talk a little
11 bit then about how that affects the way that a
12 dietary supplement can be marketed to patients as
13 opposed to how a compounded drug might be able to
14 be marketed to patients and to providers?

15 MS. BORMEL: For the dietary supplement
16 question, I'm going to defer to CFSAN.

17 DR. WELCH: Hi. Cara Welch. Dietary
18 supplements can make a number of claims. They
19 cannot claim to treat, cure, prevent, or mitigate a
20 disease, but they can claim to help the structure
21 or function of the healthy human body.

22 So they can make general nutrient content

1 claims, talking about the level of the ingredient.
2 They can make some health claims. It's
3 particularly to reduce the risk of a disease.
4 Those are authorized by FDA. They're reviewed and
5 authorized by FDA, those that meet significant
6 scientific agreement.

7 Then there are these structure function
8 claims. That's how we loosely refer to them.
9 They're described in Section 403(r)(6) of the
10 Federal Food, Drug, and Cosmetic Act. It's a
11 general health claim or a claim to maintain the
12 structure or function of the healthy human body.

13 DR. GULUR: Any further questions or
14 clarifications? Dr. Patel?

15 DR. PATEL: I wanted to go back to the
16 effectiveness studies. I wanted to ask the FDA the
17 question regarding what they look for when drugs
18 that are formally FDA approved for similar
19 indications. Are they typically, in this patient
20 population, looking for trends in improvement?

21 DR. FURLONG: I don't know if Jean is still
22 here. I'll defer to a psychiatrist.

1 DR. KIM: What was the question again?

2 DR. PATEL: The question is, I don't know
3 enough about the patient population, how the
4 studies get carried out. So the question is about
5 the effectiveness studies that are quoted based on
6 which we're going to make a decision to add it to
7 the list or not. And there were some inferences
8 made earlier about how the trials are very similar
9 to the trials that the FDA looked at for drugs that
10 are FDA approved for a similar indication.

11 So the question is, in the studies
12 evaluating pregnenolone, they noticed trends
13 towards improvement and that a dose to response was
14 not necessarily seen. So is that typically what's
15 seen in the studies when you're evaluating a use of
16 a drug for this set of indications, schizophrenia
17 and bipolar specifically?

18 DR. KIM: I work in a division where we're
19 focused on the FDA-approved drugs, like not the
20 bulks, but the ones that get prescribed, and the
21 standard of efficacy is much higher. We don't just
22 look at trends. It has to be statistically

1 significant. And the sample sizes are much larger
2 than in the trials that were cited for the bulks.

3 DR. GULUR: Thank you. Dr. Jungman?

4 MS. JUNGMAN: So I think we got to the first
5 part of my question, but not to the second, which I
6 was trying to solve the difference between how you
7 can market and advertise a dietary supplement as
8 compared to a drug, so I appreciated Dr. Welch's
9 explanation about dietary supplements.

10 It's my understanding that there is more
11 latitude to market or advertise a compounded drug
12 for specific health conditions than there is for a
13 dietary supplement. In my mind, that has always
14 been one of the big distinctions to help me
15 consider why you might want to either put or not
16 put a drug on the bulk substance list that is
17 available as a dietary supplement.

18 So I was hoping for some clarification on
19 that point, and I think we kind of only got through
20 the first part of that.

21 MS. BORMEL: I think from the perspective,
22 if something is placed on the bulks list, the one

1 thing that the statute says is that the information
2 about it can't be false or misleading. So if there
3 is information out there about using this
4 particular bulk for a particular disease or
5 something of that nature, provided it is not false
6 or misleading, you could state that.

7 DR. GULUR: I think I'd like to just clarify
8 a little bit there, too. So essentially, the
9 difference here being that for dietary supplements,
10 you cannot make the claim to treat, cure, or
11 prevent disease. However, for a compounded
12 substance, you can state that it treats, cures, or
13 prevents disease?

14 MS. BORMEL: Yes.

15 DR. DOHM: Not entirely, I think. Cara, you
16 might want to flush this out a little bit more with
17 qualified health claims. But I think the key is
18 that there are going to be some limitations on what
19 you can say about what we call drug claims if
20 you're in a dietary supplement world that will not
21 exist for a drug itself. So there are differences
22 in what you can say depending on how your product

1 is regulated.

2 DR. WELCH: I apologize for skimming over
3 these quickly. There are a variety of claims that
4 a dietary supplement can make. To go through them,
5 the three different kinds of claims, the first is a
6 nutrient content claim, which is really just
7 labeling or claiming the amount of a nutrient in
8 your product, high, low, X percent, something along
9 those lines.

10 The next claim is an authorized health claim
11 or a qualified health claim, and those are
12 available to foods as well as dietary supplements.
13 Authorized health claims are authorized by FDA by
14 regulation -- or by rulemaking, excuse me. And
15 they are reviewed by FDA, and then the limitations
16 around the claim as well as the text of the claim
17 is in the rulemaking. They have to meet
18 significant scientific agreement and are specific
19 to reducing the risk of a disease.

20 A qualified health claim is similar, except
21 it is qualified because it for some reason or
22 another didn't meet significant scientific

1 agreement. So it isn't added to the regulation,
2 but it is issued a letter of enforcement discretion
3 from FDA clarifying the information around the
4 claim, why it didn't meet significant scientific
5 agreement, and then the text that is appropriate to
6 use and the type of product you can make it in.
7 Again it should be limited to reducing the risk of
8 a particular disease.

9 Then the last is that broad claim. We in
10 dietary supplements refer to them as structure
11 function claims, and they're specifically to
12 maintain or effect the structure or function of a
13 healthy human body.

14 Common ones, it's actually really hard to
15 explain some right now, but we're not talking about
16 a disease, we're not talking about obesity, but
17 we're talking about weight loss or the function of
18 the body.

19 Some of the specifics that were laid out in
20 our final rule around this, we can talk about
21 memory, aiding memory, but not about Alzheimer's or
22 dementia. So it's that differentiation between the

1 structure or function of the healthy human body
2 versus when you get into the disease realm.

3 DR. GULUR: Dr. Mixon?

4 MR. MIXON: Compounders are prohibited from
5 making specific health claims for their compounded
6 medications, and I'm pretty sure there are warning
7 letters out there for compounders who have done so.

8 DR. DOHM: That might be under state law.
9 Under federal law, the restrictions on advertising
10 and promotion were initially struck down by the
11 U.S. Supreme Court, and then they were removed from
12 Section 503A when the DQSA was enacted. However,
13 you might be referring to warning letters that
14 might have suggested that claims were false and
15 misleading.

16 DR. GULUR: Dr. Johnson?

17 DR. JOHNSON: I would just add that I'm not
18 sure about the warning letters that you're
19 referring to, but we have, during the course of
20 some of these reviews, found older warning letters,
21 before DQSA, that at this time would not have been
22 issued. We would be using enforcement discretion.

1 I believe that some of the warning letters
2 are not consistent with our current view, and I
3 don't know if that's factoring into some of the
4 thinking that you're explaining.

5 DR. GULUR: Yes. Dr. Davidson would like to
6 comment.

7 MS. DAVIDSON: I wanted to follow up on
8 Dr. Bogner's question and get continued
9 clarification from FDA. It's still my
10 understanding that a physician could direct a
11 pharmacist to compound substances that are
12 available as dietary supplements for an individual
13 patient, even if it's not on the bulk substances
14 list.

15 That's the question. And if that's still
16 true -- I mean, is that your understanding, older
17 members? And if that is the case, then the only
18 concern I would have would be how is a pharmacist
19 to determine the quality of that dietary supplement
20 versus a very specifically C of A pure substance?

21 MS. BORMEL: Let me see if I can address
22 your question. If a physician wants a compounded

1 dietary supplement?

2 MS. DAVIDSON: Or a prescription medication
3 for an individual patient, could the pharmacists go
4 use a dietary supplement, not the pure bulk
5 substance, but a dietary supplement, as the source
6 of the compound? I believe Jane Axelrad told us
7 that that was the case in a previous meeting.

8 MS. BORMEL: If you're purely compounding
9 something as a dietary supplement --

10 MS. DAVIDSON: No. It would be a
11 prescription medication.

12 MS. BORMEL: Then the substance that you're
13 using has to be on the bulks list.

14 MS. DAVIDSON: That is a significant detour
15 from our previous understanding, and I can pull up
16 our notes.

17 MS. BORMEL: I don't believe so, but we can
18 look at that. But I remember the discussion
19 several committee meetings ago about what happens
20 if a pharmacy wants to compound a dietary
21 supplement from dietary supplement ingredients.
22 Our jurisdiction is only over the compounding of

1 drug product under 503A. And under 503A, we have a
2 scheme set out that you have to use bulk substances
3 that are components of FDA-approved products
4 subject to an applicable USP monograph or on the
5 bulks list.

6 It's talking about making a drug product,
7 but when you use something that is available as a
8 dietary supplement over the counter and you're
9 compounding it to make a drug product, that
10 ingredient has to be on the bulks list or subject
11 to an applicable USP monograph, or has to be a
12 component of an FDA-approved product. I don't
13 think that's ever changed.

14 MS. DAVIDSON: So for clarification, dietary
15 supplements are dietary supplements, but bulk
16 substances are something else entirely.

17 MS. BORMEL: When you intend to take a
18 dietary ingredient or dietary supplement and you
19 intend for it to treat, mitigate, cure a disease,
20 it becomes a drug. So if you are, for example,
21 taking pregnenolone, and you intend it for one of
22 the uses that we described, and it were on the

1 bulks list, for example, you wouldn't be
2 compounding a drug product.

3 MS. DAVIDSON: So if I had a pediatric
4 patient -- I don't have patients; I'm a pharmacist.
5 But if I had a pediatric patient, as a prescriber,
6 and I needed to use one of these substances, my
7 only option would be to direct the parent of that
8 child to go out and divide up that dosage form, the
9 dietary supplement, the best they could. I could
10 not direct a pharmacist to compound that into a
11 weight-based appropriate dose for that individual
12 patient.

13 DR. FURLONG: Maybe I can take that. So
14 when we looked at what's available now on the
15 market, in the dietary supplement arena, the doses
16 range from I think 5- to 100-milligram capsules. I
17 think you could probably cover most of your needs
18 with that.

19 MS. DAVIDSON: For this particular
20 substance, for globally. I think for the new
21 members, I think it's important to have an
22 understanding of what it truly means for access if

1 we do not allow a substance to go on the list.

2 I was mistaken clearly for the previous
3 seven meetings thinking that a pharmacist could
4 still go compound with a dietary supplement if
5 directed by a physician to do so because a dietary
6 substance is not a bulk substance, but I clearly
7 misunderstood that.

8 DR. GULUR: Dr. Jungman?

9 MS. JUNGMAN: I would just mention that the
10 other option there would be to do it under an IND,
11 which we've come back to that again and again at
12 these meetings.

13 DR. GULUR: A few times. Dr. Bogner?

14 DR. BOGNER: I'm trying to get this, what
15 we're going to vote on momentarily, when discussion
16 has ceased. In the previous vote we took on
17 L-citrulline, there was a proviso that it only is
18 for oral. Are there any limitations, either
19 treatment for particular diseases or delivery route
20 in this case?

21 DR. GULUR: In the past, we have been able
22 to limit the delivery route, but indications, I

1 don't believe, we have done.

2 Could you clarify that?

3 DR. DOHM: That's correct.

4 DR. GULUR: So you can limit to the oral
5 route, but not for indications.

6 I actually have a question for Dr. Mixon
7 just to clarify on what a compounding pharmacy may
8 state or may not state. You're just randomly
9 looking at pregnenolone through one of the
10 compounding pharmacies, and what it states here is,
11 "Use for fatigue, increased energy, enhancement of
12 memory, as well as decreasing stress and improving
13 immunity, the improvement of libido and sexual
14 energy.

15 "It is also used for Alzheimer's disease and
16 skin disorders such as psoriasis and scleroderma.
17 Women use pregnenolone for the treatment of
18 endometriosis, symptoms of menopause, and
19 premenstrual syndromes."

20 So would you consider this to be a typical
21 statement from a compounding pharmacy?

22 MR. MIXON: I can't state what's typical. I

1 just know how I was taught, and I was taught not to
2 make specific medical claims for individual
3 compounded preparations, that we could advertise
4 our services, but we could not make specific
5 medical claims. That's the purview of a
6 manufactured drug.

7 DR. GULUR: Thank you. And for the FDA, is
8 this a statement that, under federal law, is
9 allowed because the substance currently is
10 considered compound?

11 MS. BORMEL: Can you repeat the statement,
12 please?

13 DR. GULUR: "Pregnenolone is used for
14 fatigue, increased energy, enhancement of memory,
15 as well as decreasing stress and improving
16 immunity, and improvement of libido and sexual
17 energy. It is also used for Alzheimer's disease
18 and skin disorders such as psoriasis and
19 scleroderma. Women use pregnenolone for the
20 treatment of endometriosis, symptoms of menopause,
21 such as hot flashes and mood swings, and
22 premenstrual syndromes." It further goes on to say

1 that the capsules are compounded under the
2 stringent USP 795 guidelines.

3 DR. DOHM: So many of those statements, I
4 think, would fall in the realm of what we consider
5 to be structure/function claims that are often
6 attached to dietary supplements, and some of those
7 claims are what we would call disease claims, that
8 can be made when they're associated with drugs.

9 So it's a very nuanced analysis for each of
10 those claims, and they'd have to be analyzed
11 individually to determine whether or not they would
12 be appropriate for a dietary supplement, drug, or
13 both.

14 DR. GULUR: So Alzheimer's disease as an
15 example would be a disease?

16 DR. DOHM: Disease, disease claim.

17 DR. GULUR: Is that something they can make
18 a claim to?

19 DR. DOHM: A dietary supplement?

20 DR. GULUR: No.

21 DR. DOHM: No.

22 DR. GULUR: This is a compounding pharmacy.

1 DR. DOHM: So if a compounding pharmacy
2 wants to have a claim associated with the drug that
3 is for a disease, then it would be able to do so as
4 long as it's a drug, and it can't be of course
5 false or misleading.

6 DR. GULUR: So what is the burden of
7 evidence for this? For instance, right now, we're
8 being asked to review these studies and see what is
9 the evidence for efficacy. What is the burden of
10 evidence on a compounding pharmacy as far as these
11 claims are concerned for disease cures? Dr. Nixon?

12 DR. DOHM: As I said, they can't make
13 statements that are false and misleading, so that
14 would be one of the federal standards. And then as
15 for whether or not something is misleading, you
16 often look at the body of evidence associated with
17 the statement.

18 So for example, if the entity said that a
19 particular drug was effective in treating
20 Alzheimer's disease, the question would become what
21 would people understand that to mean. And would
22 they understand that to mean that it's been shown

1 to be effective, and what level of evidence have
2 they used or relied on to demonstrate that
3 effectiveness?

4 So it really is a question of expertise and
5 analysis of the evidence, and it's not a
6 straightforward -- I can't give you a really
7 straightforward answer.

8 DR. GULUR: Dr. Mixon, would you like to
9 comment?

10 MR. MIXON: I just want to add that what you
11 just read sounds like it's straight out of
12 something you would find in the Natural Medicines
13 Comprehensive Database. So for this substance,
14 which is a dietary substance, I don't have access
15 to it, but perhaps later we can find the monograph
16 on this substance in the Natural Medicines
17 Comprehensive Database and see what it says.

18 But that's exactly the kind of information
19 you would find in the Natural Medicines
20 Comprehensive Database.

21 DR. HARROUK: I have a comment here. We did
22 include the NCMD summary in the review. It's in

1 the background information for pregnenolone. So we
2 did reference the NCMD and what they said.

3 MR. MIXON: I thought you had, yes. Can you
4 find it?

5 DR. HARROUK: It's on page 11 of the review.
6 Did you want me to read it?

7 DR. GULUR: Does it state Alzheimer's
8 disease?

9 DR. HARROUK: It says, "The NCMD notes that
10 since pregnenolone is converted to estrogen," it
11 says, "The NCMD monograph states that pregnenolone
12 is contraindicated in people with hormone-
13 independent prostate cancer and individuals with
14 seizure." It does not specifically mention
15 Alzheimer's. It says it can cause steroid-related
16 adverse events, including insomnia, nausea,
17 irritability, et cetera.

18 Correction. NCMD does list Alzheimer's. I
19 just was corrected. I stand corrected.

20 DR. GULUR: Just to clarify for
21 future -- and we do need to wrap this up, so we'll
22 move on -- it would be very helpful for us,

1 especially with our newer members, if this could be
2 clarified again, on what can and cannot be
3 included; and when we approve a drug for placement
4 on this list, what are the full implications of
5 that in terms of practice. I know we've been
6 through that before, but it would be worth going
7 through it again.

8 Dr. Mixon.

9 MR. MIXON: Real briefly, I just want to
10 mention that FDA has a guidance that says that
11 dietary substances are not appropriate for the use
12 of a bulk drug substance for pharmacy compounding
13 because they're a dietary substance. They're not
14 drugs.

15 MS. DAVIDSON: Just to clarify, that's a
16 monograph. A dietary substance monograph is not an
17 applicable monograph.

18 MR. MIXON: Thank you, Gigi.

19 Committee Discussion and Vote

20 DR. GULUR: Thank you very much, everyone.

21 With that, we will now end our discussions and
22 start the vote. To read the question, FDA is

1 proposing that pregnenolone not be included on the
2 503A bulks list. Should pregnenolone be placed on
3 the list?

4 If you vote no, you are recommending FDA not
5 place the bulk drug substance on the 503A bulks
6 list. If the substance is not on the list when the
7 final rule is promulgated, compounders may not use
8 the drug for compounding under Section 503A unless
9 it becomes the subject of an applicable USP or NF
10 monograph or a component of an FDA-approved drug.

11 If there is no further discussion, we will
12 now begin the voting process. Please press the
13 button firmly on your microphone that corresponds
14 to your vote. You have approximately 15 seconds to
15 vote. After you have made your selection, the
16 light will continue to flash. If you aren't sure
17 of your vote, please press the corresponding button
18 again.

19 (Voting.)

20 Dr. CHEE: Question 2 on pregnenolone, we
21 have 6 yeses, 5 nos, and 1 abstain.

22 DR. GULUR: Thank you, everyone. We will

1 start with the comments. Dr. Carome, if you could
2 get started.

3 DR. CAROME: Mike Carome. I voted no
4 because the safety profile of this drug has not
5 been sufficiently established, particularly for
6 long-term use. And all the diseases for which it
7 was nominated are chronic diseases, so long-term
8 use would be expected. There's really been no
9 evidence of effectiveness for the nominated uses.
10 So for those reasons, I don't think it should be on
11 the list.

12 DR. HOAG: This was a very difficult
13 decision and could have gone either way. When you
14 look at products sold as DSHEA, dietary
15 supplements, some of those would have better
16 quality than compounded and some less quality
17 depending on the manufacturer.

18 Then the compounded products, some of those,
19 will have good quality, some may not, depending on
20 the skill of the compounder. These things are very
21 hard to evaluate. But again, it's a very difficult
22 decision, so I selected yes.

1 MS. JUNGMAN: Elizabeth Jungman. I voted
2 no. I always struggle with these decisions about
3 substances -- compounds that are available as
4 dietary supplements. It feels a little futile to
5 vote against putting something on the bulk
6 substances list if it can be obtained off the
7 shelf.

8 While I am concerned about the broader
9 marketing claims that could be made about
10 compounded drugs, I generally agree that it would
11 be better to see patients getting substances from
12 pharmacists and physicians than off the shelf.

13 I just want to second Dr. Gulur's suggestion
14 that it would be helpful perhaps at some future
15 meeting to get more guidance from FDA about the
16 distinctions between dietary supplements and
17 compounded drugs to help the committee assess why
18 it matters whether something is on the bulks list
19 if it's available as a dietary supplement.

20 Ultimately, though, if I focus on the four
21 factors that we have all agreed are the ones we're
22 supposed to be voting on, considering the lack of

1 long-term safety data and potentially severe
2 adverse events weighed against the lack of
3 persuasive evidence of effectiveness and
4 availability of proved alternatives, that led me to
5 vote no.

6 DR. BOGNER: Robin Bogner. I voted yes
7 because this is available to folks without the
8 benefit of follow-up. And I also note that it's
9 for treatment of conditions that are very difficult
10 for some patients to find full relief.

11 There was some efficacy in some patients.
12 This is exactly where I think compounding focuses,
13 on the treatment of individual patients. And for
14 that reason, I voted yes not to limit access to
15 patients for this material.

16 DR. PATEL: As with what all the other
17 members stated, this was a tough decision.
18 However, I did vote no primarily because of three
19 reasons. One is inconclusive evidence of benefit
20 or outcomes, especially comparative to standard of
21 care.

22 I also have worries about the unintended

1 consequences of a product that has effects on
2 multiple systems in the body and the worry for how
3 patients would be managed if indeed they had either
4 an adverse outcome or other unintended
5 consequences.

6 MR. HUMPHREY: William Humphrey. I voted
7 yes. I too struggled with this. I really
8 struggled with either this is a drug or it isn't,
9 regardless of whether it's a dietary supplement or
10 not. And I would a whole lot rather a pharmacist
11 be dispensing this on a prescription of a
12 physician.

13 Your speaker stated that if a physician felt
14 this was necessary, they could still prescribe it
15 as a dietary supplement. I would rather that come
16 from a pharmacist.

17 DR. GULUR: Dr. Wall, if you could state
18 your opinion.

19 DR. WALL: This is Dr. Wall. I voted yes.
20 I think that this is too dangerous of a substance
21 to really be an over-the-counter dietary
22 supplement. I think it's got the perfect place,

1 having a pharmacist who is personally involved with
2 that patient, so you have a legitimate patient-
3 pharmacist relationship to monitor for all the
4 long-term side effects that can be seen through
5 drugs like this. That's it.

6 DR. GULUR: Dr. Davidson?

7 MS. DAVIDSON: I voted yes. In my mind, the
8 safety signal was somewhat absent for the treated
9 populations, as evidenced by the lack of increased
10 elevations of downstream hormones in patients with
11 schizophrenia and depression.

12 It does seem like it may have some promise
13 as an adjunct for treatment of schizophrenia. And
14 if it is held within the triad, where prescribers
15 can carefully monitor for adverse events downstream
16 of treatment, then I feel like that is a better
17 place for this therapy than to have patients going
18 and buying dietary supplements off the shelf,
19 which, as was mentioned, range in strength, a very,
20 very, very huge range of strengths. They may get
21 the wrong one.

22 Finally, even though there is an IND, it

1 seems to be a largely non-patentable substance, so
2 I don't believe there is any incentive for some
3 sort of sponsor to do the research development and
4 clinical trials that we require to get this drug
5 approved down the road.

6 So I voted yes to allow it to be accessible
7 to patients that still need it, in a safer way.

8 DR. GULUR: Padma Gulur. I voted no for
9 reasons that have already been stated by some. One
10 was the long-term use safety signals for this. I
11 would have to agree completely this is challenging
12 because having a pharmacist dispense this is
13 definitely safer than dietary supplements being
14 used for medicinal or drug-related purposes.

15 However, there is an IND in process. I
16 won't venture on how much people will be willing to
17 invest in it, but some of what influenced my
18 decision was also questions on the oral
19 availability of this and whether having more
20 complex mechanisms of delivery, which there would
21 be an investment for, I would think in the future.
22 Therefore, there was potential that this drug could

1 be studied more carefully, long-term safety
2 evaluated and hence, voted no.

3 Dr. Venitz on the phone?

4 DR. VENITZ: This is Jurgen Venitz. I voted
5 yes. Like everyone else, this was one of the more
6 difficult votes that I have to make as part of the
7 committee. I acknowledge the limitations of the
8 pre-clinical/clinical safety efficacy information.

9 I did go through all four criteria, and if
10 it had been just for the first three, I would have
11 voted no. I think what kicked me over to the other
12 side was the fact that the current treatment for
13 the psychiatric diseases is unsatisfactory, and
14 there is at least a signal of promise. And that
15 along with the fact that it's not going to be
16 available under the control of a pharmacy-patient
17 relationship rather than over the counter, kicked
18 me over to the yes vote.

19 DR. VAIDA: Alan Vaida. I voted no.
20 Although there's been a lot of discussion on this,
21 I did go along with the no long-term safety data,
22 but also that the submission was changed to oral

1 for schizophrenia and bipolar, yet there was about
2 close to 100 public letters. And when I read
3 through those letters, the majority of those that
4 mentioned this drug were for hormonal replacement
5 therapy and oftentimes as a cream.

6 So I just have a lot of concern on any
7 control that you could have over this.

8 DR. GULUR: On the phone, Dr. Fiedorowicz?

9 DR. FIEDOROWICZ: Yes. I voted to abstain.
10 I didn't feel that the evidence for effectiveness
11 was established for psychiatric disorders, although
12 there were some hypothesis-generating exploratory
13 findings that might encourage further study.

14 After reading the four criteria and even
15 after the discussion, I was still not clear on how
16 best to balance the four criteria, so I defer to
17 abstain to defer to the more experienced members of
18 the PCAC.

19 DR. GULUR: Thank you.

20 With that, we are running a little bit
21 behind time, however, we will move on to the next
22 segment. We will now proceed with Dr. Johnson's

1 FDA presentation on DHEA.

2 DR. JOHNSON: So thank you for that
3 interesting discussion with lots of various
4 factors, and we appreciate the complexity of your
5 considerations and the various aspects that you've
6 delved into.

7 DR. GULUR: Dr. Johnson, I would just ask
8 for a minute of your patience.

9 Dr. Desai, if you would, introduce yourself
10 since you will be participating in this discussion.

11 DR. DESAI: Thank you very much, Dr. Gulur.
12 Seemal Desai. I'm a dermatologist in clinical
13 practice in Dallas. I'm also on the board of
14 directors at the American Academy of Dermatology
15 and happy to be a part of the meeting. Thank you.

16 DR. GULUR: Thank you, Dr. Johnson.

17 FDA Presentation - Susan Johnson

18 DR. JOHNSON: Good afternoon. My name is
19 Susan Johnson, and I'm from the Office of Drug
20 Evaluation IV in CDER's Office of New Drugs. And I
21 would just say by way of transition that while this
22 substance, 7-keto DHEA, is related to steroids,

1 without being flippant, it doesn't even appear on
2 the diagram that we've given you. So it doesn't
3 sit as prominently in steroidogenesis as the other
4 things we've talked about.

5 I think I just mentioned that as a way of
6 sort of clearing the deck so you can think
7 specifically about this substance and not
8 necessarily align it completely with all the other
9 discussions we've had.

10 I'd like to thank members of the review
11 team, especially Dr. Zhang and Dr. Hankla, who
12 worked with us in OND on each of the nominated
13 substances, and we appreciate their contribution on
14 all of them.

15 7-keto-DHEA has been nominated for inclusion
16 on the 503A list and has been proposed for use in
17 weight loss and to treat Raynaud's phenomena. The
18 proposed routes of administration include oral,
19 sublingual, and topical.

20 7-keto-DHEA, which is also referred to in
21 chemistry parlance as 7-oxo DHEA, is a small
22 endogenous steroid molecule. It's a well-

1 characterized substance that's nearly insoluble in
2 water, not soluble. While we found no stability
3 data for 7-keto-DHEA, its structure suggests that
4 it is likely to be stable in the proposed dosage
5 forms under ordinary storage conditions.

6 7-keto-DHEA is synthesized from DHEA, and
7 DHEA was on the steroidogenesis diagram that you
8 saw, and the process may introduce toxic
9 impurities. As we've noted, compounders should use
10 the information on the CoA to identify any
11 potential safety or quality issues.

12 In conclusion, 7-keto-DHEA is well
13 characterized and likely to be stable under
14 ordinary storage conditions for oral, sublingual,
15 and topical formulations.

16 DHEA is a precursor for testosterone and
17 estrogen. DHEA is also converted to 7-alpha-
18 hydroxy-DHEA, or 7-beta-hydroxy-DHEA, which are in
19 turn converted to 7-keto-DHEA. There's variation
20 in the literature about whether 7-keto-DHEA can be
21 converted back to DHEA through the hydroxy
22 steroids. If the process is reversible and 7-keto-

1 DHEA can be converted back to DHEA, then there's
2 potential, as we've just talked about, for
3 downstream effects on testosterone and estrogen
4 levels. We conclude, based on the data that we
5 found, that it's not possible to definitively say
6 whether the reactions are reversible.

7 We found that 7-keto-DHEA's role in the body
8 is not well defined.

We have in vitro data
9 suggesting that it may induce estrogen-mediated
10 gene expression and may help regulate conversion of
11 inactive to active cortisol. Despite lack of
12 demonstrated androgenic activity in vitro, the
13 World Anti-Doping Agency, which is a foundation
14 established in 1999 by the International Olympic
15 Committee, has banned 7-keto-DHEA as an anabolic
16 steroid.

17 We found a single study of the endogenous
18 levels of 7-keto-DHEA in 8 women which varied
19 during the approximately 16-hour study period.
20 Topical administration of a total daily dose of
21 25 milligrams 7-keto-DHEA in healthy males for
22 5 days, or 8 days with measurement out to 100 days

1 following treatment, appeared in two different
2 studies to show effects on levels of testosterone,
3 estradiol, and other endogenous substances.

4 We found little non-clinical safety data for
5 7-keto-DHEA, but did find one repeat dose toxicity
6 study. The treatments dosed by oral gavage are
7 listed on the slide, and the maximum dose of
8 140 milligrams per kilogram was defined as the
9 no-observed adverse effect level in Rhesus monkeys.

10 Although the acetate ester is not the
11 subject of the nomination or the review, we looked
12 at related non-clinical safety data. We found
13 information supporting a NOAEL of 500 milligrams
14 per kilogram in Rhesus monkeys dosed orally and a
15 negative AMES test. The specific correlation of
16 data from the acetate ester to 7-keto-DHEA is not
17 known.

18 In the FAERS database, there was one case in
19 which a male patient reported a fivefold increase
20 in testosterone levels. The 14 CAERS reports with
21 which 7-keto-DHEA use was reported were confounded
22 by the use of multiple supplements. We found no

1 clinical trials or published case reports for
2 7-keto-DHEA.

3 In conclusion, we found little safety data
4 specific to 7-keto-DHEA. We have not identified
5 safety concerns, but we cannot rule out their
6 potential, particularly with long-term uses we've
7 discussed.

8 Primary Raynaud's phenomenon is idiopathic
9 and evidences episodic vasospasm of the arteries
10 and arterials in the extremities and most often
11 manifests as pain and pallor followed by cyanosis
12 in the fingers, toes, and ears. Secondary
13 Raynaud's can be attributed to a number of
14 disorders that affect the vasculature.

15 The proposed use of 7-keto-DHEA in the
16 treatment of Raynaud's phenomenon is not supported
17 by clinical trial data. There is a 2003
18 publication by Ihler, et al. in which the
19 theoretical benefit of 7-keto-DHEA is suggested
20 based on the potential for 7-keto-DHEA to induce
21 metabolic thermogenesis. This publication does not
22 describe a clinical trial or efficacy data. We did

1 find one case report of an individual who was
2 reported to have improvement in association with 7-
3 keto-DHEA dosing of their Raynaud's syndrome.

4 Thermogenesis has also been postulated as a
5 potential mechanism for 7-keto-DHEA to have an
6 effect on the treatment of obesity. We did find
7 observational data of 7-oxo, or DHEA as it was
8 called in that study, in obese children, but we
9 found no interventional clinical trials.

10 Based on the published literature, 7-keto-
11 DHEA has at least a seven-year history of being
12 used in pharmacy compounding, although we were
13 unable to establish the extent of its use. 7-keto-
14 DHEA is available as a dietary ingredient in
15 dietary supplement products.

16 In conclusion, 7-keto-DHEA is well
17 characterized and likely to be stable under
18 ordinary storage conditions for oral, sublingual,
19 and topical formulations. We found little safety
20 data specific to 7-keto-DHEA. There is literature
21 about 3-acetyl 7-keto-DHEA to a limited extent. We
22 have not identified safety concerns, but cannot

1 rule out their potential, particularly with long-
2 term use. We found no clinical evidence of
3 effectiveness for 7-keto-DHEA in Raynaud's
4 phenomenon or weight loss.

5 7-keto-DHEA has been used in compounding for
6 at least 7 years and is available in dietary
7 supplement products. Overall, a balancing of the
8 four criteria in FDA's opinion weighs against
9 7-keto-DHEA being added to the list of bulk drug
10 substances under 503A. I'm happy to take
11 questions.

12 Clarifying Questions from the Committee

13 DR. GULUR: Do we have any clarifying
14 questions? Dr. Carome?

15 DR. CAROME: Mike Carome. In the FAERS
16 database, there was the one case where a male
17 patient had a fivefold increase in testosterone
18 taking this product?

19 DR. JOHNSON: Correct.

20 DR. CAROME: Is it plausible that that
21 increase in testosterone could have occurred
22 because of exposure to this drug?

1 DR. JOHNSON: I don't think we can assess
2 the relationship based on the information that was
3 there. As an editorial component, I didn't choose
4 to put in the report to begin with. The reason
5 this gentleman reported this and there were no
6 clinical measurements associated with it, but he had
7 been told that the substance would not affect his
8 testosterone levels and in fact it did. And that
9 was one of the reasons why he chose to report. But
10 that's anecdotal, and that's all the information we
11 have. We have no clinical information.

12 DR. CAROME: Just to follow up, maybe more
13 generically than just this case, for the World
14 Anti-Doping Agency, it's classified as an anabolic
15 agent. Given its structure and where it falls, is
16 it plausible that it could indeed possibly increase
17 testosterone or related hormones?

18 DR. JOHNSON: I think, to be as scientific
19 as I can be about this, the literature seems very
20 mixed about whether or not 7-keto-DHEA can be
21 reconverted to DHEA. I think that the predominant
22 belief is that it cannot be, and I don't know what

1 mechanism of action those effects would have if it
2 were just a downstream metabolite of DHEA.

3 But to be scientifically accurate as well as
4 I can, I don't think we know the answers to any of
5 this. The literature is very mixed.

6 DR. GULUR: Dr. Desai?

7 DR. DESAI: Seemal Desai. Thank you for
8 your presentation. As a dermatologist who treats
9 skin disease, I was interested to see Raynaud's
10 phenomenon listed because that's one of the most
11 difficult things we treat in patients with
12 connective tissue disease. However, I was
13 concerned that there was only 1 case report, as you
14 mentioned.

15 Did they possibly postulate the mechanism of
16 action behind how this worked in that, just
17 considering that it's more of a vascular disease
18 manifestation?

19 DR. JOHNSON: So as I understand it, the
20 idea that 7-keto-DHEA could be associated with
21 thermogenesis, which as I understand the
22 literature, metabolic thermogenesis is mostly

1 theoretical mechanism, but the oxidation of fatty
2 acids in the mitochondria might in fact create a
3 scenario to essentially warm, and that's the link.
4 It's plausible. It hasn't, in our estimation, been
5 demonstrated.

6 DR. GULUR: Any clarifying questions from
7 our members on the phone?

8 (No response.)

9 DR. GULUR: Thank you, Dr. Johnson.

10 DR. JOHNSON: Thank you.

11 DR. GULUR: We will now proceed with the
12 nominator presentations. We have one presentation,
13 Mr. Tom Wynn from Fagron.

14 Nominator Presentation - Tom Wynn

15 MR. WYNN: Thank you for allowing me to come
16 and speak to you today. My name is Tom Wynn. I'm
17 a pharmacist. I've been a pharmacist since 1994,
18 and I currently am employed with Fagron, and we do
19 actually sell 7-keto-DHEA.

20 7-keto-DHEA is a metabolite of DHEA, and I
21 think the FDA did also speak to that. When
22 administered to humans, 7-keto-DHEA can also be

1 metabolized into its hydroxyl, in the epimers, and
2 the FDA talked about that as well. I think the
3 characterization is something that's not really
4 being argued here, that it's definitely very easily
5 characterized as a hormone, or a hormone
6 metabolite, I should say.

7 The information that I found, I know that
8 they were talking about can DHEA go back and forth
9 from 7-hydroxy-DHEA to DHEA. The information I was
10 finding was showing actually an irreversible
11 reaction, and it involves the enzyme, 11-beta-
12 hydroxy steroid dehydrogenase, which then breaks
13 down to DHEA and takes it to the 7-alpha-hydroxy-
14 DHEA, which then can reversibly flux into 7-keto-
15 DHEA, and like we mentioned, the other epimers of
16 that particular metabolite.

17 This has been up several times, and I do
18 love this particular diagram. I think it's
19 something great to have in your pharmacy because it
20 answers a lot of questions sometimes when you're
21 dealing with patients.

22 What I note is on there is that DHEA is on

1 there in the little corner and all the different
2 things that DHEA can be turned into. And if indeed
3 we do have that irreversible reaction, we know that
4 we're not going to have the threat of maybe
5 producing estradiol, or cortisol, or other
6 metabolic or other hormones in the body that could
7 cause other adverse effects with what we're trying
8 to treat.

9 I like to think of this as the symphony of
10 the body, and we definitely want to keep that
11 intact while we're trying to help patients when
12 we're treating different symptoms or disorders.

13 In human therapy, there are some undesired
14 responses to administer DHEA because it does
15 elevate testosterone and dihydrotestosterone
16 concentrations in women, and that has been
17 documented.

18 The 7-oxo steroids should prove to be more
19 useful therapeutic agents than DHEA, and they have
20 shown to be a little bit more active. They're not
21 rheumatized and cannot be converted into
22 testosterone. And that was a study that I found

1 listed there at the bottom that is saying that
2 you're not going to have it convert back to
3 testosterone, which means it's probably not going
4 to go through that DHEA pathway as well.

5 Now, as far as safety goes with 7-keto-DHEA,
6 looking through I did find that they did do a
7 safety assessment of a mammalian microsome reverse
8 mutation study. They were looking at the 3-acetyl
9 7-oxo-DHEA and its metabolites. This is a very
10 common study that they do when they're trying to
11 determine different types of mutagenicity, and
12 they'll use bacterial strains to do that. In the
13 results, they concluded that it was safe and well
14 tolerated and normal healthy men at doses up to 200
15 milligrams a day for 4 weeks.

16 Also here, this is actually the same study,
17 and I just kind of wanted to get into it a little
18 bit more in that when they looked at it, there was
19 no differences in clinical laboratory values when
20 they monitored adverse experiences between
21 treatments in placebo groups. In general, blood
22 hormone concentrations were not affected by the

1 treatment of the 7-oxo-DHEA.

2 So even there, they were looking at other
3 hormone levels and not really finding significant
4 changes in hormone levels, although they're not
5 specified specifically in this abstract which ones
6 they're looking at, but they're not seeing changes.
7 More likely, they're looking at testosterone,
8 estrogen, and the other hormones that are in that
9 cascade that we mentioned before.

10 So what we're seeing again is that it's
11 normally well tolerated in normal doses. And in
12 this case, they determined that normal dose to be
13 200 milligrams a day for 4 weeks for this
14 particular study.

15 Here, when we're looking at just 7-keto-
16 DHEA, it would be considered an ergosteroid or
17 biologically active metabolite, synthetic
18 derivatives of DHEA. Within a single experiment
19 over the range of 0.01 to 0.1 percent of the diet,
20 they found that it was actually 2.5 times more
21 active than DHEA. And I think they're looking at
22 more not so much active in its ability as a

1 hormone, let's say the hormone aspects of DHEA, but
2 again, looking into how well it actually helps with
3 thermogenesis, which is something that the DHEA rep
4 brought up in their talk.

5 DHEA itself can help with thermogenesis, but
6 you have to use a lot more of it to have that
7 happen. The studies that I saw, they were using
8 huge amounts of DHEA, whether it be 1600 milligrams
9 or huge amounts in comparison to what you would
10 normally do in animal studies to kind of show that
11 they were getting some type of thermogenesis. But
12 when you're talking about 7-oxo-DHEA, the
13 metabolite, it is a bit stronger doing that.

14 The
15 doses can be much smaller, so you can get the
16 results that you want.

17 Again, what is thermogenesis? It is, again,
18 the idea that what's going to happen is that you
19 can, again, cause that mitochondrial breakdown of
20 essential fats and increases the temperature. And
21 I'm not talking about going up to 100 degrees.
22 These are small increases in temperature. The
body's normal response then is to open vascular

1 areas to the extremities, so the hands, the feet,
2 and that's going to allow that heat to dissipate.

3 What you have in some of these patients that
4 are suffering from different afflictions, that's
5 important because you want to increase that
6 circulation. And we can do that using the body's
7 own natural response to an increase in temperature.

8 This particular one here, again, they were
9 just looking at activity of different steroid
10 glucuronides, 7-oxo derivative, again talking about
11 it being more active in the parent steroid DHEA and
12 devoid of adverse effects in rats, monkeys, and
13 humans in this particular study.

14 Now, something else I will stop for a minute
15 and mention about safety, too, is, we've got to
16 remember that this is something that's produced in
17 the body. It is a normal metabolite of DHEA. It's
18 an excretory form. We will excrete it in the
19 urine to get rid of that particular -- maybe to
20 break down DHEA and to get rid of its levels.

21 So because of that, it's relatively thought
22 of as safe in my mind because it's something that's

1 produced in a normal metabolic functioning, not
2 something that's in error like a cancer or
3 something.

4 I like to refer to it the same as looking
5 around, I see that most of the members have water
6 in front of them, except for one. Charles there
7 decided to go with Ocean Spray. He was a different
8 guy, but that's okay. But everybody has water in
9 front of them, and water is in general thought of
10 to be safe.

11 Now, it's something unlike 7-keto-DHEA
12 that's quite a metabolic process. We do take it
13 in. We need it every day. But water is relatively
14 safe unless I would jump in the ocean and try to
15 swallow all the water in the ocean. Therefore, it
16 becomes toxic.

17 So I think it's not so much we're worried
18 about the safety of 7-keto-DHEA, but we're worried
19 about at what limit is that safety. It's a
20 metabolic process in the body that creates it. It
21 should be generally safe because it's already
22 there. What we need to determine is what's the

1 limit. How much becomes unsafe?

2 This one, I also wanted to mention. It was
3 brought up several times that it is available over
4 the counter, many dietary supplements since 1997.
5 So even though we have had many discussions -- I
6 won't get into it about how they determined safety
7 profiles -- I think that it's out there and
8 available. That says something to that as well.

9 So efficacy, again, this particular study
10 was talking about the effects again of the
11 mitochondrial membrane potential, and they found
12 that feeding 7-oxo-DHEA decreased body weight gain
13 in rats.

14 Again, the whole idea is thermogenesis,
15 again, if we can start to create changes in how we
16 metabolize fats, then we of course can then change
17 our weight profiles. And that's kind of where
18 they're going with this. This one was done in
19 rats, not in humans, but they did find that they
20 did see some weight changes in those animals.

21 Now, as far as Raynaud's phenomenon goes, it
22 was mentioned that it's something you wanted to

1 hear more about. This particular study was already
2 mentioned, and they did talk about that they were
3 able to have very helpful prevention of Raynaud's
4 attacks. I actually have personal experience in
5 this. I'd like to share a couple of those with
6 you.

7 As a pharmacist, I did have an instance
8 where a cardiologist had a patient who had Marfan's
9 syndrome. Marfan's syndrome is a connective tissue
10 type, and I won't really get into all the aspects
11 of that. But it's a connective tissue disorder.
12 And Raynaud's is often associated with Marfan's.

13 This particular one was a 12-year-old child
14 who was having Raynaud's symptoms along with
15 Marfan's. They do tend to have congenital heart
16 problems. So the normal things you might think of
17 to do is why don't we use something like a
18 commercially available calcium channel blocker, or
19 some type of vasodilator that they can take, that
20 then, again, would do the same thing. It's going
21 to open and vasodilate the periphery, again, so
22 that we increase blood flow and we can get that

1 sensation away, the cold sensation and the
2 different sensations they had with Raynaud's.

3 But we didn't want to do that because this
4 patient again had some different heart
5 abnormalities associated with the Marfan's. So we
6 decided to go ahead and try the 7-keto-DHEA. It
7 was the physician's decision to go ahead and start
8 at 10 milligrams and then kind of work up until we
9 got the response that we wanted.

10 It turned out that, actually, at 12 years
11 old, 10 milligrams worked out just fine. Patient
12 took the 10 milligrams. The symptoms were
13 resolved. They were doing fine, but as they aged,
14 they did have to increase the dose. And by the
15 time he was 18, he was taking 30 milligrams a day.
16 Those doses are much lower than the doses that we
17 saw before, where they were doing 200 milligrams.

18 That's what I'm getting at, is we don't need
19 an ocean of this particular 7-keto-DHEA to get an
20 effect. What we need to do is we need to work
21 together with physicians, pharmacists, and
22 patients, and maintain that connection so that we

1 can work together to figure out what proper dose is
2 going to take care of that.

3 Now, along with that, questions might come
4 up, well, how do you know that you actually
5 delivered 10 milligrams? My pharmacy and what we
6 recommend as a group, as a supplier, to the
7 pharmacies that we deal with, you need to have some
8 type of potency program where you're actually
9 checking the particular items that you compound,
10 and we did.

11 We checked 7-keto-DHEA to be sure that it
12 contained the 10 milligrams that we said that we
13 did in our processing. We also had different
14 quality checks there to be sure that who was
15 compounding, that they did it the same way and that
16 we could consistently create that again the way
17 that we wanted.

18 Another way that it came up was not
19 necessarily for Raynaud's. It was, but this was a
20 little bit different, too. I had an
21 endocrinologist contact me and had a patient who
22 actually was having -- they figured out it was not

1 making enough 11 beta-hydroxy-steroid
2 dehydrogenase. That particular enzyme not only
3 helps with the conversion of DHEA to 7-keto-DHEA,
4 but also cortisone to cortisol.

5 This patient was more fatigued, was having
6 some problems and issues with Raynaud-type symptoms
7 and their extremities, and wasn't responding well
8 to some of the other treatments. When he finally
9 figured out that was the issue, he wanted to put
10 this patient on 7-keto-DHEA, which we did.

11 This particular patient was an adult, so we
12 did start off at 30 milligrams, figuring the other
13 adult, why don't we start there, and that worked
14 out find for them, too. They were not having a lot
15 of other hormone issues.

16 Why that's important is because this patient
17 was also on hormone therapy, and the hormone
18 therapy wasn't working out right because they
19 weren't having the same conversions they should
20 have. They weren't breaking down cortisone and
21 cortisol, so the results were varying when they
22 tried to do other types of hormone therapies.

1 Now, treating it with the 7-keto-DHEA did
2 not affect that negatively or positively. It
3 simply was able to take care of the symptoms that
4 they wanted, which were the Raynaud-type symptoms
5 that they had.

6 So those were two instances in practice
7 where I was able to help out patients. And, again,
8 when you're talking about Raynaud's, you may be
9 talking a subset of the population, maybe 200,000
10 people.

11 Well, I take that back. When you talk about
12 Marfan's, that's only about 200,000 patients, and
13 most of them can have a different type of Raynaud-
14 type symptoms. Raynaud's is actually more like 10
15 to 15 million. There are quite a few patients out
16 there that are affected by Raynaud's.

17 My question is, of those patients, how many
18 of them are going to have some type of cardiac
19 abnormality possibly where the usual course of
20 action will not be available to them? We have an
21 option here, 7-keto-DHEA, which can help Raynaud's.
22 Even though the information may not be several

1 placebo-controlled studies, we do have some
2 information here that helps us to give this as an
3 option for those patients.

4 So in conclusion, I do feel and it is
5 definitely well characterized by HPLC, safety has
6 been shown in animals, and there was some
7 indication of human studies. Safety was met, no
8 resistance for the dietary supplement status, so
9 it's been out for quite a while.

10 So at some point, it would have had to have
11 been at least looked at the processing to be sure
12 that it can be stable in that process and that it
13 has been shown effective.

14 Now, weight loss was one that I never had
15 experience in, but definitely Raynaud's, I think
16 there is a definite need there, and it definitely
17 can be quite useful for those physicians wanting to
18 treat Raynaud's syndrome. And again, I think the
19 key is to remember, in compounding, we're dealing
20 with an individual patient. We're not trying to
21 deal with the entire public. So when physicians
22 call, we have this available to us as an option.

1 I think it's important to make sure that
2 that's there so that there's not patients out there
3 that are maybe suffering from an ailment that can't
4 be treated just because we don't have an option
5 available.

6 You might say, well, they could have used
7 the over-the-counter DHEA. Could be true, but,
8 again, dosing can vary there, because although I
9 think there are much higher strengths than 7-keto-
10 DHEA, and maybe at even 30 milligrams available
11 over the counter, I don't know that you need much
12 more than that.

13 But what if there was a suspension that had
14 to be made? I mean, these types of Marfan's
15 syndromes start out at birth, so these kind of
16 symptoms can go on in kids.

17 How would you make a suspension out of the
18 over-the-counter 7-keto-DHEA when you're not
19 allowed to create any compound from it? You're
20 forcing these kids to try to swallow capsules,
21 parents trying to open them up, and again, dosing
22 might be a little bit more difficult.

1 Clarifying Questions from the Committee

2 DR. GULUR: Thank you. Do we have any
3 clarifying questions? Dr. Carome?

4 DR. CAROME: Regarding your conclusion that
5 it has been shown to be effective in weight loss
6 and Raynaud's, are you aware of any randomized
7 placebo-controlled trials that support that claim?

8 MR. WYNN: I'm afraid I don't have any
9 randomized placebo-controlled trials. I can only
10 go from my own personal clinical experience and
11 knowing that, again, there wasn't a lot of research
12 out there that was put. There was that one article
13 that drove us to go ahead and try it ourselves.

14 I had two patients. I had two successes. I
15 know that's a very small size, anyway, but I feel
16 that definitely with the literature out there
17 showing that 7-keto-DHEA does -- there were
18 articles I presented that show that it does help
19 with thermogenesis. I think that is definitely a
20 plausible, even as the FDA mentioned, effective way
21 to treat that.

22 When there's no other option available, like

1 when you can't use nifedipine or some of the other
2 commercially available because of the side effects,
3 there has been and has been brought up that, for
4 instance -- and this is not quite as similar -- in
5 anti-fungal preparations, the topical products were
6 approved when they only had a 30 to 40 percent
7 effective rate because there was nothing else
8 available.

9 So if you're saying that I'm not going to
10 approve this because I don't see the studies on
11 effectiveness, but I do see some effectiveness,
12 there are drugs that have been approved already
13 that have very low effectiveness rates because
14 there's a need. And I think there is a need
15 because of the amount of patients that are out
16 there. That 10 to 15 million that have Raynaud's,
17 a lot of those could benefit from having this
18 available to be compounded.

19 DR. GULUR: Dr. Jungman?

20 MS. JUNGMAN: This may not be a fair
21 question to ask you, but I'm going to throw it out
22 there anyway, what your sense is of the overall

1 market. So compounding of the substance, is it
2 typically for Raynaud's or is it more often for
3 weight loss or even for hormone replacement
4 therapy?

5 MR. WYNN: Sure. Personally, I never saw it
6 for weight loss. It was only for Raynaud's, and
7 that's what intrigued me the most, because I knew
8 there was a patient population. People would come
9 in and talk to you. As a pharmacist, people come
10 in. They tell you about their different ailments,
11 what they're doing, and what's not working.

12 So I saw more of a benefit for that than
13 over the weight loss myself, so I can't really
14 contest the weight loss because I never really
15 worked with a patient and a physician to treat
16 somebody for that, but I do feel that the Raynaud's
17 could be huge just by looking at the sheer number
18 of them that are out there and looking at what's
19 currently available.

20 We're not really focusing on -- we're taking
21 the effects of other medications that are approved
22 and we're using it, but they tend to have side

1 effects. And this has a much lower side effect
2 profile. And as we went through, we could not find
3 any necessary side effects. I never saw any
4 myself. Most of the studies brought up that side
5 effects are very limited.

6 So I think it's a better option for a lot of
7 the patients, especially the ones who might have
8 some type of congenital heart defect along with the
9 Raynaud's.

10 DR. GULUR: Dr. Desai?

11 DR. DESAI: You mentioned a study of safety
12 with the 200-milligram dosing that I believe was
13 for four weeks. Do you know the duration of that,
14 how long they track that out, or was it only for
15 that 4-week interval?

16 MR. WYNN: The four weeks is all that I
17 know. I know, from personal experience, the
18 patients that were on it -- like I said, the one
19 child was on it from 12 to 18 before I stopped
20 seeing them again. So they were on it for years, a
21 much lower dose, of course. Again, they were only
22 on 10 milligrams up to 30 at the end.

1 But again, I think that's where it's
2 important to have that relationship with the
3 physician, and the pharmacist, and the patient so
4 that when you want to try to help them in a
5 particular situation that's a niche situation like
6 this -- we see in the dose 200, we knew let's not
7 go there. Let's start at a lower dose, and we can
8 work up, see how you're doing, if it's working
9 great, because the idea is to find the lowest
10 effective dose for that particular symptom.

11 DR. DESAI: What is the most common
12 formulation you're getting prescriptions on this
13 for?

14 MR. WYNN: Most commonly, it was capsules
15 because actually the kids -- he was 12. He could
16 swallow, and the other was an adult. So I didn't
17 really see a lot of suspensions, but I know it
18 could be out there. And after our talk of not
19 being able to use the nutraceutical over the
20 counter, I think there could be a need for that if
21 word kind of gets out.

22 It was more or less me. I wasn't promoting

1 this. It's was more or less physicians who knew of
2 me, knew what I could do. When they had a problem
3 like this, they contacted me and asked me about it.

4 DR. DESAI: Thank you.

5 DR. GULUR: Dr. Johnson?

6 DR. JOHNSON: I'd just like to make a couple
7 clarifications. The clinical study of
8 200 milligrams per day for 4 weeks in normal
9 healthy men was not conducted with 7-keto-DHEA. It
10 was conducted with the acetyl ester, 3-acetyl
11 7-keto-DHEA.

12 It's perfectly possible for that substance
13 to have been nominated for the 503A list. That is
14 not the nominated substance. And we knew that in
15 the marketplace, there are products called 7-keto
16 that actually contain the acetyl ester.

17 So we did do some digging around to see if
18 we could find information that related the two in
19 terms of efficacy or safety, and we didn't find
20 any. They may be related pharmacokinetically. The
21 acetyl ester may be converted to some extent to
22 7-keto in the body, but that was the limit of the

1 ability to make translation. So we do not have a
2 clinical study of 7-keto-DHEA.

3 The other observation that I would make is
4 that the paper by Ihler in 2003 was a case report.
5 It was not a clinical study. Ihler went ahead and
6 recommended that the thermogenic construct may be
7 helpful in prevention of primary Raynaud's attacks
8 by increasing metabolic rate and inhibiting
9 vasospasm, but it was purely theoretical.

10 DR. GULUR: Dr. Ganley?

11 DR. GANLEY: I just wanted to make one
12 point. In your slide, you mentioned that its
13 safety met no resistance from the FDA for dietary
14 supplement status. That is generally not reviewed
15 by FDA. The burden is on the FDA to prove that
16 it's not safe or establish that it's not safe, so
17 that's not even a factor in getting on the dietary
18 supplement market.

19 MR. WYNN: It doesn't have to be safe to be
20 over the counter?

21 DR. GANLEY: There's no review of safety to
22 get over-the-counter dietary supplement status.

1 The burden on FDA is quite high to establish
2 something is not safe as a dietary supplement.

3 MR. WYNN: This one's been out for how many
4 years?

5 DR. GANLEY: Yes, I understand. But we're
6 going to come to some later things where there are
7 several hundred reports of adverse events, for
8 example, when there is resveratrol. But people are
9 on generally more than 50 ingredients in their
10 dietary supplements, and there's a lot of serious
11 adverse events. It's hard to understand whether
12 these are related or it's related to disease or
13 what.

14 So even in situations in the past where
15 there have been serious adverse events such as
16 liver toxicity, it's been very difficult for FDA to
17 take action in those cases.

18 DR. GULUR: Any further questions from our
19 members on the phone?

20 (No response.)

21 Committee Discussion and Vote

22 DR. GULUR: Thank you very much.

1 We do not have any open public hearing
2 speakers. The open public hearing portion of this
3 meeting has now concluded, and we will no longer
4 take comments from the audience.

5 We will now begin the panel discussion. Any
6 comments from the group? Dr. Carome?

7 DR. CAROME: Mike Carome. So I'll just note
8 that the sole evidence for Raynaud's was a couple
9 of reported cases of a patient improving, and such
10 anecdotes really fall far short of the type of
11 evidence I think one would want to even begin to
12 assess effectiveness.

13 We're not hearing reports of the cases in
14 which patients got an intended response, so
15 obviously it's a biased status sample. And those
16 that do respond, it could be simply placebo effect.
17 And without randomized placebo-controlled trials,
18 we have no basis to judge where the product is
19 effective for that use.

20 DR. GULUR: Thank you, Dr. Carome.

21 Any further comments during the discussion
22 period?

1 (No response.)

2 DR. GULUR: We will now end our discussions
3 and start the vote. If you vote no to this
4 question, which is, FDA is proposing that 7-keto-
5 DHEA not be included on the 503A bulks list.
6 Should 7-keto-DHEA be placed on the list, if you
7 vote no, you are recommending FDA not place the
8 bulk drug substance on the 503A bulks list.

9 If the substance is not on the list when the
10 final rule is promulgated, compounders may not use
11 the drug for compounding under section 503A unless
12 it becomes the subject of an applicable USP or NF
13 monograph or component of an FDA-approved drug.

14 If there is no further discussion, we will
15 now begin the voting process. Please press the
16 button firmly on your microphone that corresponds
17 to your vote. You will have approximately
18 15 seconds to vote. After you have made your
19 selection, the light will continue to flash. If
20 you are unsure of your vote, please press the
21 corresponding button again.

22 (Voting.)

1 DR. CHEE: For 7-keto-DHEA, we have 2 yeses,
2 9 nos, and zero abstain.

3 DR. GULUR: Thank you, everyone. We will
4 start the comments section. Dr. Carome, if you
5 could?

6 DR. CAROME: Mike Carome. I voted no for
7 similar reasons to the last one. I think there's
8 just insufficient evidence establishing its long-
9 term safety or effectiveness for the nominated
10 uses.

11 DR. HOAG: Steve Hoag. In the previous one,
12 I voted yes and I was on the fence. The data for
13 this particular vote, I voted no. I thought just
14 weighting the balance factors, this didn't meet the
15 criteria for inclusion.

16 Also, this is probably a little bit more
17 difficult compound to work with, to formulate. So
18 the combination of those factors led me to vote no.

19 MS. JUNGMAN: Elizabeth Jungman. I also
20 voted no. While there didn't seem to be
21 significant safety concerns, there wasn't a long-
22 term study there, and for weight loss, there were

1 approved alternatives. And for Raynaud's, the
2 evidence of effectiveness was really anecdotal.

3 DR. BOGNER: Robin Bogner. I voted yes
4 because I couldn't come up with a good reason to
5 limit access to patients.

6 DR. PATEL: I voted no primarily based on
7 the lack of evidence supporting its use. And what
8 we've heard is anecdotal reports like Dr. Carome
9 had mentioned earlier, without which published
10 evidence, I don't think we could consider adding it
11 to the list.

12 DR. DESAI: Seemal Desai. I voted no also.
13 I was interested in this ingredient for its use in
14 Raynaud's phenomenon, which I treat quite
15 frequently, actually, as both Dr. Johnson and the
16 nominator discussed this manifestation of lots of
17 other skin diseases and other systemic issues.

18 But the fact that there was only one case
19 report of which there was no way to elucidate the
20 mechanism of action to improving the vasospasm is
21 ultimately what led me to no, along with the lack
22 of long-term safety data.

1 DR. GULUR: Dr. Wall on the phone?

2 DR. WALL: I voted yes. I'm not hearing a
3 lot of, really, negatives for the safety.
4 Granted, the evidence can be a little bit
5 questionable, but I think that there may be a need
6 for it in certain specific patients. Thank you.

7 DR. GULUR: Dr. Humphrey?

8 MR. HUMPHREY: William Humphrey. I voted
9 no. I feel a little wishy-washy, given that I
10 voted yes on the previous one. But I do agree with
11 many of the statements that have already been made.

12 DR. GULUR: Dr. Davidson?

13 MS. DAVIDSON: I feel wishy-washy, too, but
14 I voted no on this. After listening to the
15 evidence, I thought there may be a little evidence
16 to support, but then I learned that it was a
17 different salt than was nominated.

18 I worried about decreasing patient access,
19 but a quick search of the web reveals that you can
20 get just about any salt or metabolite of DHEA as a
21 dietary supplement, and perhaps that would still
22 provide some access to patients that do show some

1 effects from it.

2 DR. GULUR: Padma Gulur. I voted no for
3 reasons already stated, lack of long-term safety
4 data. The efficacy data was really what convinced
5 me on this one, anecdotal at best and, again, for a
6 different salt altogether.

7 Dr. Venitz on the phone?

8 DR. VENITZ: This is Jurgen Venitz. I voted
9 no, and I didn't feel wishy-washy about it because
10 I do think there are major differences between the
11 previous review and this one. There is no clinical
12 trial to support efficacy or even the promise of
13 efficacy.

14 There is maybe one, as far as I can tell
15 you, a safety trial or something that you could
16 construct to be a safety trial. So there is in my
17 mind almost a total absence of safety and efficacy.

18 Adjournment

19 DR. GULUR: Thank you, everyone. With that,
20 we will adjourn for lunch. We are slightly off
21 schedule. I would request that everyone return at
22 1:45 so we can resume.

1 (Whereupon, at 12:54 p.m., the morning
2 session was adjourned.)
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

