

1 **FOOD AND DRUG ADMINISTRATION CENTER**
2 **FOR DRUG EVALUATION AND RESEARCH**

3
4
5
6 **PHARMACY COMPOUNDING ADVISORY COMMITTEE (PCAC)**

7
8
9 **Monday, November 20, 2017**

10 **1:48 p.m. to 5:11 p.m.**

11
12 **Afternoon Session**

13
14
15
16 **FDA White Oak Campus**
17 **White Oak Conference Center**
18 **10903 New Hampshire Avenue**
19 **Silver Spring, Maryland**
20
21
22

1 Meeting Roster

2 DESIGNATED FEDERAL OFFICER (Non-Voting)

3 Cindy Chee, PharmD

4 Division of Advisory Committee and

5 Consultant Management

6 Office of Executive Programs, CDER, FDA

7

8 PHARMACY COMPOUNDING ADVISORY COMMITTEE MEMBERS

9 (Voting)

10 Robin H. Bogner, PhD

11 Professor

12 University of Connecticut

13 School of Pharmacy

14 Department of Pharmaceutical Sciences

15 Storrs, Connecticut

16

17 Michael A. Carome, MD, FACP

18 (Consumer Representative)

19 Director of Health Research Group

20 Public Citizen

21 Washington, District of Columbia

22

1 Gigi S. Davidson, BSPH, DICVP

2 *(U.S. Pharmacopeial Convention Representative)*

3 Director, Clinical Pharmacy Services

4 North Carolina State University

5 College of Veterinary Medicine

6 Raleigh, North Carolina

7

8 Seemal R. Desai, MD, FAAD

9 *(Participation in 7-keto DHEA, astragalus,*

10 *epigallocatechin gallate, and resveratrol*

11 *discussion)*

12 President and Medical Director

13 Innovative Dermatology

14 Plano, Texas

15

16 Padma Gulur, MD

17 *(Acting Chairperson)*

18 Vice Chair, Operations and Performance

19 Duke University School of Medicine

20 Department of Anesthesiology

21 Duke University Medical Center

22 Durham, North Carolina

1 Stephen W. Hoag, PhD

2 Professor

3 Department of Pharmaceutical Science

4 University of Maryland, Baltimore

5 Baltimore, Maryland

6

7 William A. Humphrey, BSPHarm, MBA, MS

8 Director

9 Pharmacy Operations

10 St. Jude Children's Research Hospital

11 Memphis, Tennessee

12

13 Elizabeth Jungman, JD

14 Director

15 Public Health Programs

16 The Pew Charitable Trusts

17 Washington, District of Columbia

18

19

20

21

22

1 Kuldip R. Patel, PharmD
2 Associate Chief Pharmacy Officer
3 Duke University Hospital
4 Durham, North Carolina

5

6 Jurgen Venitz, MD, PhD
7 *(Participation in L-citrulline, pregnenolone,*
8 *7-keto DHEA, astragalus, and epigallocatechin*
9 *gallate discussion via phone)*

10 Professor and Vice Chairman
11 Virginia Commonwealth University
12 School of Pharmacy, Department of Pharmaceutics
13 Richmond, Virginia

14

15 Allen J. Vaida, BSc, PharmD, FASHP
16 *(Participation in L-citrulline, pregnenolone,*
17 *astragalus, epigallocatechin gallate, and*
18 *resveratrol discussion)*

19 Executive Vice President
20 Institute for Safe Medication Practices
21 Horsham, Pennsylvania

22

1 Donna Wall, PharmD

2 *(National Association of Boards of Pharmacy*

3 *Representative-Participation via phone)*

4 Clinical Pharmacist

5 Indiana University Hospital

6 Indianapolis, Indiana

7

PHARMACY COMPOUNDING ADVISORY COMMITTEE MEMBERS

8

(Non-Voting)

9

10 Ned S. Braunstein, MD

11 *(Industry Representative)*

12 Senior Vice President and Head of Regulatory

13 Affairs

14 Regeneron Pharmaceuticals, Inc.

15 Tarrytown, New York

16

17 William Mixon, RPh, MS, FIACP

18 *(Industry Representative)*

19 Former Owner

20 The Compounding Pharmacy

21 Hickory, North Carolina

22

1 TEMPORARY MEMBERS (Voting)

2 Kenneth D. Burman, MD

3 *(Participation in L-citrulline, astragalus,*
4 *epigallocatechin gallate, and resveratrol*
5 *discussion)*

6 Chief, Endocrine Section

7 Medstar Washington Hospital Center

8 Professor, Department of Medicine

9 Georgetown University

10 Washington, District of Columbia

11

12

13

14

15

16

17

18

19

20

21

22

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Section 503A Bulk Drug Substances	
4	FDA Presentation	
5	Astragalus Extract 10:1	
6	Michael Brave, MD	10
7	Clarifying Questions from Committee	25
8	Nominator Presentation - Fagron	
9	Tom Wynn, RPh	27
10	Clarifying Questions from Committee	35
11	Committee Discussion and Vote	42
12	FDA Presentation	
13	Epigallocatechin gallate (EGCg) 1	
14	Susan Johnson, PharmD, PhD	49
15	Clarifying Questions from Committee	62
16	Nominator Presentation - Fagron	
17	Kimberly Kieffer	67
18	Clarifying Questions from Committee	79
19	Committee Discussion and Vote	91
20		
21		
22		

	C O N T E N T S (continued)	
	AGENDA ITEM	PAGE
1		
2		
3	FDA Presentation	
4	Resveratrol	
5	Charles Ganley, MD	100
6	Clarifying Questions from Committee	120
7	Nominator Presentation - NCPA	
8	Jeffery Johnson, RPh, MBA, ND, PharmD	130
9	Clarifying Questions from Committee	144
10	Committee Discussion and Vote	154
11	Adjournment	172
12		
13		
14		
15		
16		
17		
18		
19		
20		
21		
22		

1 P R O C E E D I N G S

2 (1:48 p.m.)

3 DR. GULUR: Welcome back, everyone. As had
4 been decided, we will be starting the meeting at
5 this point. We will now proceed with the FDA
6 presentation on astragalus by Dr. Brave.

7 FDA Presentation - Michael Brave

8 DR. BRAVE: Good afternoon. I'm Michael
9 Brave, a clinical reviewer from CDER's Office of
10 Hematology and Oncology Products, and I reviewed
11 the nomination for astragalus.

12 I'd like to acknowledge and thank my
13 colleagues listed here who participated in this
14 review. Astragalus extract 10:1 has been nominated
15 for inclusion on the list of bulk drug substances
16 for use in compounding under Section 503A of the
17 Federal Food, Drug, and Cosmetic Act.

18 The suffix 10:1 presumably implies a water
19 or aqueous ethanol extract with 10 grams of the
20 astragalus root producing 1 gram of the extract,
21 although this is unclear.

22 The uses for which astragalus extract 10:1

1 has been nominated are diabetes mellitus, allergic
2 rhinitis, wound healing, asthma, and herpes simplex
3 keratitis. The nominator did not propose more
4 narrow indications within any of these disease
5 categories. The proposed route of administration
6 is oral. The references provided in the nomination
7 contain both clinical and non-clinical information.

8 In traditional Chinese medicine, astragalus
9 preparations were made from the root of 1 of 2
10 plant species. The nominator clarified, in
11 response to an FDA information request, that the
12 nominated substance is derived from the root of
13 astragalus membranaceus. However, the process to
14 determine the consistency of the compounds in the
15 nominated substance was not specified. For
16 example, growing conditions like temperature, and
17 rainfall, and the harvesting process all affect the
18 final botanical substance.

19 Approximately 100 potentially bioactive
20 compounds have been identified from the astragalus
21 root and its extracts. These include
22 polysaccharides, saponins, flavonoids, amino acids,

1 and trace elements. Known compounds account for a
2 small percentage of the whole astragalus plant.

3 In vitro data suggest that some astragalus
4 components have biological properties, including
5 immunomodulatory antioxidants, antitumor,
6 antidiabetic, antiviral, hepatoprotective anti-
7 inflammatory, anti-atherosclerotic, and
8 neuroprotective properties. We found no data
9 linking any particular class of compounds to a
10 given clinical effect.

11 Astragalus is listed in the pharmacopeia of
12 the People's Republic of China as well as the
13 Japanese pharmacopeia and the European
14 pharmacopeia. Astragalus roots and extracts are
15 widely marketed in the U.S. as dietary ingredients
16 of dietary supplement products, including mixtures
17 made from astragalus root and other botanical and
18 non-botanical ingredients.

19 These products are often complex without
20 characterization and quantification of even the
21 most abundant classes of molecules. Potential
22 impurities or contaminants in a given astragalus

1 extract include residual organic solvents used in
2 the manufacturing and purification process, heavy
3 metals such as lead, arsenic, or mercury linked to
4 the source of the starting material, and microbes
5 such as yeast or mold and their metabolites, such
6 as aflatoxins.

7 To summarize the characterization of
8 astragalus, its root and extracts are used in
9 traditional Chinese medicine and contain a complex
10 mixture of compounds. Insufficient information was
11 provided to fully characterize the nominated
12 substance.

13 In the next several slides, I will discuss
14 publicly available information regarding the non-
15 clinical toxicology of astragalus.

16 Astragaloside-IV is a saponin isolated from
17 astragalus membranaceus. It is purported to be one
18 of the biologically active substance in astragalus
19 based on non-clinical studies. Pharmacokinetic
20 studies of Astragalus IV in the rat and dog have
21 been published.

22 The review team found no toxicity studies

1 conducted with the nominated substance, astragalus
2 10:1. We did, however, find repeat-dose toxicity
3 studies of an extract of the astragalus root and of
4 cycloastragenol, a triterpene aglyclone extract of
5 the astragalus root.

6 Yu and colleagues conducted a study in the
7 rat in which an astragalus root extract was
8 administered via the intraperitoneal route, 2 rats,
9 at doses between 5.7 and 39.9 grams per kilograms
10 daily for 90 days, and to beagle dogs at doses
11 between 2.85 and 19.95 grams per kilogram daily for
12 90 days. It was reported that toxicity was not
13 observed in either species.

14 Szabo and colleagues administered
15 cycloastragenol orally to rats at doses between 40
16 and 150 milligrams per kilogram daily for 91 days,
17 and again, no toxicities were reported.

18 The review team found both positive and
19 negative published results of Ames' chromosomal
20 aberration assays of various astragalus extracts.
21 No information was found specifically for the
22 nominated astragalus 10:01 preparation, and we

1 found no published carcinogenicity studies.

2 Regarding reproductive toxicity, we
3 identified two published studies in which
4 Astragaloside-IV was administered to the rat and/or
5 rabbit at key times before mating, during
6 gestation, and/or during lactation. These studies
7 reported a decrease in body weight gain in dams
8 compared to untreated controls, increased
9 incidences of fetal death and developmental delay
10 in offspring. No teratogenic effects were
11 observed.

12 To summarize published non-clinical
13 information on astragalus, there are no toxicology
14 data that we can specifically associate with the
15 nominated 10:1 extract. No toxicity was observed
16 in repeat-dose studies of unspecified astragalus
17 extracts in the rat or dog.

18 The genotoxic potential of astragalus is
19 unknown. We identified no carcinogenicity data.
20 And finally, fetal deaths were observed in the rat
21 and rabbit dosed with Astragaloside-IV.

22 Now, I will discuss published clinical

1 information on the use of astragalus, starting with
2 safety followed by pharmacokinetic, and finally
3 clinical efficacy data.

4 Regarding safety, most published reports of
5 the clinical effects of astragalus do not analyze
6 or discuss adverse reactions. Whether most
7 clinical studies systemically collected this data
8 is uncertain. The FDA adverse event reporting
9 system, or FAERS, contained no reports specific to
10 astragalus.

11 Much of the information available about the
12 clinical toxicity of astragalus comes from the
13 Center for Food Safety and Nutrition's adverse
14 event reporting system, which receives adverse
15 event reports related to food, cosmetics, and
16 dietary supplements.

17 On June 27, 2017, the review team searched
18 the CAERS database for adverse events associated
19 with astragalus. This search retrieved 547 cases.
20 Four deaths were reported. None of these 4 deaths
21 were associated with astragalus as the sole active
22 substance in the ingested product or products.

1 In only seven reports was astragalus the
2 sole active substance ingested. Most cases
3 reported multiple organ systems affected
4 simultaneously. Many of these reports described
5 what sounded like a generalized acute illness
6 characterized by malaise plus symptoms from several
7 organ systems such as diaphoresis, nausea,
8 vomiting, diarrhea, headache, palpitations,
9 dyspnea, et cetera.

10 In conclusion, few published reports of the
11 clinical effects of astragalus analyzed or
12 discussed adverse reactions. Whether most clinical
13 studies systematically collected such data is
14 uncertain.

15 The Center for Food Safety and Nutrition's
16 adverse event reporting system contained 547 cases
17 as of June 27, 2017. Many of these reports
18 described what sounded like an acute systemic
19 illness with multiple simultaneous symptoms.

20 The review team found no published
21 pharmacokinetic data for astragalus 10:1. We did,
22 however, find a pharmacokinetic study of

1 Astragaloside-IV, the previously mentioned saponin
2 extracted from astragalus, and thought to mediate
3 some of its pharmacological activity.

4 Xu and colleagues studied the
5 pharmacokinetics of Astragalus IV in a dose
6 escalation trial of 40 Chinese healthy volunteers.
7 Each volunteer received a single dose of
8 Astragaloside-IV between 200 and 600 milliliters.

9 Single-dose oral pharmacokinetics were
10 linear over the 200- to 500-milliliter dose range.
11 Only 4 percent of Astragaloside-IV was excreted
12 unchanged in urine and accumulation was not
13 observed in a subset of 16 volunteers, given
14 500-milliliter doses daily for 7 days.

15 The next several slides describe reports of
16 clinical trials with astragalus. In general, these
17 reports provide little detail about trial
18 methodologies such as the astragalus preparation
19 used, the patient population enrolled, or the
20 statistical analysis plan. Their conclusions
21 generally suggest minor treatment effects on
22 subsets of assessed endpoints. As such, we cannot

1 conclusively interpret these findings as
2 substantive to clinical benefit.

3 Tian and colleagues performed a meta-
4 analysis of 13 clinical trials enrolling a total of
5 1,054 subjects, comparing astragalus by oral or
6 intravenous administration to usual care in
7 patients with type 2 diabetes mellitus.

8 All 13 trials were conducted in China. The
9 analysis concluded that astragalus by either route
10 of administration reduced fasting plasma glucose,
11 postprandial plasma glucose, and insulin
12 sensitivity. Only the aqueous decoction reduced
13 hemoglobin A1c levels.

14 Li and colleagues performed a meta-analysis
15 of 21 randomized controlled trials and 4
16 uncontrolled trials of unspecified mixtures of
17 astragalus, which enrolled a total of 1804 patients
18 with diabetic nephropathy. All trials were
19 conducted in China. The analysis concluded that
20 astragalus may improve proteinuria and serum
21 creatinine levels in these patients.

22 Kim and colleagues reported one case of a

1 62-year-old man with diabetic nephropathy who
2 obtained short-term improvement in proteinuria and
3 glomerular filtration following an unspecified
4 regimen of astragalus membranaceus extract.

5 Chao and colleagues randomized 43 patients
6 with newly diagnosed type 2 diabetes mellitus to
7 traditional Chinese mixtures of 3 herbs, including
8 astragalus versus placebo 3 times daily before
9 meals.

10 At 3 months, patients in the investigational
11 arm were reported to have improved insulin
12 resistance compared to baseline. It's not possible
13 to conclude which components of the ingested
14 mixture was responsible for the observed effects.

15 Lien and colleagues performed a
16 retrospective analysis comparing 416 Taiwanese
17 patients with type 1 diabetes mellitus, whose
18 treatment included traditional Chinese herbs, some
19 of which contained astragalus, to 1608 matched case
20 control patients with diabetes mellitus who did not
21 use traditional Chinese herbs. The analysis
22 concluded that in patients with type 1 diabetes

1 mellitus, Chinese herbal therapy may reduce the
2 incidence of diabetic ketoacidosis.

3 Pang and colleagues performed a meta-
4 analysis of 16 randomized controlled trials which
5 enrolled 1,173 total patients of a traditional
6 Chinese mixture of several herbs, including
7 astragalus root, for the treatment of patients with
8 diabetic peripheral neuropathy.

9 All trials were conducted in China. The
10 analysis concluded that patients in the
11 investigational arms had improved neurologic
12 symptoms and nerve conduction velocities.

13 Matkovic and colleagues randomized 48 adults
14 with seasonal allergic rhinitis to 6 weeks of
15 treatment with an herbal mineral complex containing
16 astragalus membranaceus versus placebo. The
17 authors found patients in the active treatment
18 group to have a trend toward symptomatic
19 improvement, but no significant changes in serum
20 immunoglobulin levels or nasal eosinophils. It is
21 not possible to conclude which compounds or
22 components of the herbal mixture was responsible

1 for the observed effects.

2 Ko and colleagues randomized 16 patients
3 with type 1 or type 2 diabetes mellitus and mild
4 diabetic foot ulceration to a traditional Chinese
5 mixture of 2 roots, one of which was astragalus
6 versus placebo twice daily.

7 At 6 months, patients in the investigational
8 arm showed a trend toward improved wound healing.
9 Again, the active treatment here composed multiple
10 herbs, so one cannot conclude which was responsible
11 for the observed effects.

12 Wong and colleagues randomized 85 children
13 with asthma who were using inhaled corticosteroids
14 to receive a daily oral combination of 5 herbs,
15 including non-specified astragalus species versus
16 placebo for 6 months. The trial failed to show a
17 reduction in steroid dose, improved lung function,
18 or effects on biochemical markers of disease.

19 A meta-analysis by Bang and colleagues of 18
20 randomized controlled trials of
21 pharmacopuncture, which is the injection of
22 herbs via syringe at specific points, included four

1 studies using the astragalus root. The authors
2 suggested that the treated groups had improved lung
3 function compared to the groups receiving
4 conventional asthma therapy.

5 We found no published reports of astragalus
6 affecting clinically meaningful endpoints in
7 patients with herpes simplex keratitis.

8 In summary, published reports have concluded
9 that astragalus, or herbal preparations which
10 include astragalus, may favorably affect certain
11 aspects of diabetes mellitus, allergic rhinitis,
12 wound healing, and asthma. Most of these reports
13 appear in alternative or traditional Chinese
14 medical publications.

15 Most involved the administration of multiple
16 herbs, none of which appear to have been the
17 nominated 10:1 substance. Few reports had
18 specified statistical analysis plans to analyze
19 clinically meaningful efficacy endpoints, and we
20 found no reports of long-term efficacy or safety of
21 astragalus for any indication.

22 Astragalus has been used in traditional

1 Chinese medicine for thousands of years.
2 Insufficient information is available to determine
3 if the nominated substance astragalus 10:1 has been
4 used in compounding. A chief reason for this
5 uncertainty is that the manufacturing process to
6 produce this substance is essentially unknown.
7 Since these processes determine the chemical
8 ingredients, the nominated substance cannot be well
9 characterized.

10 In summary, because the manufacturing
11 processes used to produce astragalus extract 10:1
12 are unknown, the substance cannot be adequately
13 characterized. Non-clinical safety data are
14 incomplete, but fetal deaths were observed in the
15 rat and rabbit dose with Astragaloside-IV, a
16 component of astragalus.

17 Although no efficacy or safety studies have,
18 to our knowledge, been conducted with the nominated
19 formulation, astragalus extract 10:1, a review of
20 the Center for Food Safety and Nutrition's adverse
21 event reporting system contains many reports of an
22 acute systemic illness.

1 In non-clinical models, certain astragalus
2 extracts and astragalus-containing herbal mixtures
3 seem to show limited treatment effects and suggest
4 potential therapeutic value for patients with
5 diabetes mellitus, wound healing, asthma, and
6 herpes simplex keratitis. However, these effects
7 have not translated into conventional measures of
8 clinical benefit in any of these patient
9 populations.

10 While astragalus preparations have been used
11 in traditional Chinese medicine, we do not have
12 information to determine whether the nominated
13 substance has been used in compound.

14 Based on a balancing of the four evaluation
15 criteria, the review team found that astragalus is
16 not a suitable substance for compounding under
17 Section 503A of the Food, Drug, and Cosmetic Act.

18 Thank you.

19 Clarifying Questions from the Committee

20 DR. GULUR: Thank you. Clarifying questions
21 from the committee? Dr. Carome?

22 DR. CAROME: Mike Carome. For a product

1 like this, what would FDA require or like to see in
2 terms of characterizing it normally?

3 DR. BRAVE: If the product were a drug going
4 through the additional NDA process, the
5 requirements would be extensive. I'm not a
6 chemist, so I don't want to specify exactly, but
7 there would be a lot of requirements.

8 DR. GULUR: Dr. Burman?

9 DR. BURMAN: It seems like the main
10 potential utility is for diabetes, and yet all of
11 the standard measures, such as hemoglobin A1c,
12 lipid profile, urine, microalbumin, and
13 retinopathy, nephropathy, et cetera, are all
14 lacking from these studies. And they don't appear
15 to be controlled, either.

16 DR. BRAVE: Yes, that's correct.

17 DR. GULUR: Questions from our members on
18 the phone?

19 (No response.)

20 DR. GULUR: Thank you very much.

21 DR. BRAVE: Thank you.

22 DR. GULUR: We have one nominator

1 presentation, Mr. Wynn.

2 Nominator Presentation - Tom Wynn

3 MR. WYNN: My name is Tom Wynn, and I was
4 here earlier, so I know you all know my background.
5 And I promise not to pick on Charles and his drink
6 choice with this particular talk.

7 So we did nominate astragalus, so with that,
8 astragalus membranaceus is a small bushy perennial
9 plant, also referred to as Huang Qi, if I'm saying
10 that right. The root is traditionally used for
11 medicinal purposes. And pretty much the FDA went
12 over that same. It's definitely a traditional
13 Chinese medicine.

14 So for characterization, I do have a little
15 bit to speak about that in that, right now there is
16 currently a USP designation for astragalus. It is
17 not in the regular database. It is in the
18 nutraceutical database for that, but at least that
19 does give some idea of what factors we're looking
20 at for astragalus and where we want it to fall, and
21 here that it contains not less than 99 or
22 100 percent of labeled amounts of the cell

1 [indiscernible] and isoflavonoids calculated on a
2 anhydrous basis.

3 So at least now, we have some idea of what
4 we'd be looking for as a supplier or manufacturer
5 of the powder, we'd be looking for to try to get a
6 better handle on that particular extract.

7 I also was able to find in
8 characterization 2 that they have put
9 together -- as far as the GAP, which is the good
10 agricultural practices, they started to look at
11 that in China in 2002. And to what we were talking
12 about or what was mentioned about monitoring the
13 management of the growing field and controlling
14 disease and pests and harvesting, packaging,
15 storing, transporting, all that was looked into.
16 And as of 2010, they actually had adopted 99
17 different traditional Chinese medicines to adhere
18 to these standard operating procedures for growing
19 agricultural practices.

20 So they're trying to put together a way that
21 they can keep this characterization under control.
22 And they also had, as of 2010, 22 different Chinese

1 provinces that were adhering to these practices.

2 So I think there is some information out
3 there that astragalus membranaceus is now in that
4 good agricultural practice. So it's one that is
5 being helped to be characterized by controlling
6 these different environmental factors in the
7 growing of the actual plant.

8 Also, I did find a study where they were
9 looking at, again, methods for analyzing the
10 different Chinese herbal complexes. And in this
11 particular study, they were looking at astragalus
12 again as well.

13 They tried to put together kind of a flow
14 chart of how you go about pulling out the different
15 components to try to do an HPLC measurement and get
16 an idea of the different components that are in
17 there using everything from pure water to methanol
18 and water and isopropyl methanol, different
19 combinations. This is the process that they put
20 together, they felt was best, and they called it
21 the HPLC unified method.

22 So there are methods out there where they're

1 trying to help characterize, again, the different
2 traditional Chinese medicines, and astragalus was
3 instituted in this particular program as well or
4 looked at for this process as well.

5 As far as safety goes -- and I think a lot
6 of these studies were talked about with the FDA,
7 too. This one that we found was actually done in
8 rats, and they were actually given 5,000 milligrams
9 from the astragalus, but it was also a combination
10 of three standard extracts there, so again, I guess
11 it wasn't necessarily just the one particular
12 extract.

13 They did the combination, but they did not
14 really see that there were a lot of adverse effects
15 in the mice when putting that together. So I still
16 think it kind of talks to the idea of safety with
17 astragalus.

18 This next study here, again, it was a
19 treatment of patients for allergic rhinitis, and
20 they didn't find any adverse events with this.
21 They did treat 48 different patients for 6 weeks.
22 It was a double-blind placebo-controlled. Again,

1 the astragalus was just a component of the complex,
2 but again, it does go to show, as far as safety,
3 anyway, not necessarily if we were trying to look
4 at effectiveness in this particular study, that
5 even though it was in the complex, there were not
6 any adverse effects associated with the study. So
7 it's again adhering to at least the safety
8 component of astragalus.

9 Here's another study where they were looking
10 at allergic asthma again. This particular one, the
11 purpose was to determine whether herbal injections
12 could suppress allergic-induced mucus secretions in
13 mice. And the results indicated that it does have
14 a potential role in treating allergic asthma.

15 This particular one was an injection, which
16 is not necessarily the more common way we might see
17 this as a compounder to utilize it, but it does
18 show that there was some potential in helping with
19 allergic asthma with the astragalus.

20 Then this second study also with allergic
21 rhinitis again, this particular one was with 48
22 adults. And this study revealed a number of

1 positive signals indicating therapeutic
2 effectiveness. But again, this one was compared to
3 placebo. This one was, again, another herbal
4 complex, though. And I realize that this drug has
5 been in there. We are showing the effectiveness,
6 but again, we have the other group in there as
7 well, which could possibly dilute some of that
8 data.

9 For herpes simplex, I know this was one that
10 they mentioned that they felt that there wasn't a
11 very significant amount of data from it. They did
12 actually treat 62 patients in this particular
13 study. Or I should take that back. There were
14 106, but then 62 were healthy individuals.

15 In this particular one, they did see
16 improvement in immune function that showed some
17 significant improvement for herpes simplex. So I
18 thought there was a little bit more significance
19 than what maybe might have been mentioned.

20 There was a fair number of people that they
21 actually treated and compared to some of the other
22 studies, even some of the other studies we saw

1 today at 100 participants anyway, so I think it was
2 still worth mentioning.

3 Wound healing is probably the one where I
4 think astragalus might find its most potential use.
5 I know there were a lot of things that were
6 nominated. At the time that we did nominate this
7 particular chemical or Chinese herbal medicine, we
8 kind of put down everything that we thought it
9 potentially could be treated for.

10 So wound healing was one that I felt was
11 probably more of its niche, if you will.

12 As far as wound healing goes, there's a few
13 things out there that maybe you can utilize that
14 are commercially available, but a lot of times,
15 wounds don't necessarily respond to all the
16 treatments that we have available, so it's nice to
17 have another option.

18 In this particular study, they were looking
19 at astragalus again, and they saw they had a high
20 potential in wound healing. This mechanism is
21 associated with inhibiting inflammation,
22 accelerating cell cycle, and promoting secretion of

1 repair factors. So this is just a nice example of
2 another option that we could have for wound
3 healing.

4 Again, this second study here, this one
5 here, was actually done in animals. This
6 particular one, again, was IV treatment, but again,
7 I think we're getting the chance to see that the
8 product was helping with both healing and had some
9 anti-scar effects as well in the wound treatment,
10 but this one was an animal study.

11 In conclusion, astragalus does have a
12 monograph now. It has a USP dietary supplement
13 monograph, so it does get specifications as to what
14 it needs to be, which will help with the
15 characterization of the product.

16 Astragalus has shown some safety, both
17 animals and human studies that we have presented.
18 I think that, as far as effectiveness, again, wound
19 healing I think has its best place, but herpes
20 simplex, allergic rhinitis are both areas to where
21 it did show some efficacy in the studies that we
22 presented.

1 Clarifying Questions from the Committee

2 DR. GULUR: Thank you. Clarifying questions
3 from the committee members? Dr. Davidson?

4 MS. DAVIDSON: What is the significance of
5 the 10 to 1 ratio? I read through the nomination
6 and FDA's follow-up message for clarification.
7 What is that, and why did you nominate that instead
8 of one of the other three forms of astragalus that
9 has dietary supplement monograph? I'm just
10 curious.

11 MR. WYNN: I think the 10 to 1 was just the
12 way that it was actually provided to us from the
13 actual manufacturer of the powder. And so we
14 included that on because that was its designation
15 to us. They were letting us know it was a 10 to 1
16 extract, that they were taking 10 parts of the root
17 to 1 part.

18 I guess, in hindsight, I almost wish that we
19 would have just left that off because it's
20 something that provides from -- they're telling us
21 that's where the powder comes from, how they're
22 doing it. And that's the only reason that we put

1 it on there, because when it comes to us from that
2 particular supplier of the powder, they called it a
3 10 to 1 just showing us what they had done.

4 DR. GULUR: Dr. Hoag?

5 DR. HOAG: Quick question. Is what you're
6 proposing the same as what's in the USP, the same
7 in the JP, and the same as the Chinese? Are they
8 all the same thing? Are there differences?

9 MR. WYNN: Do you mean is the 10 to 1 the
10 same as the powder? The 10 to 1 from my knowledge
11 is what the compounders are going to be able to
12 get. My colleague might have an answer to that
13 question, if I could have Kim come up. She was
14 involved in helping me put this together.

15 DR. GULUR: Could you come to the
16 microphone, please? And please introduce yourself.

17 MS. KIEFFER: Hello, I'm Kim Kieffer from
18 Fagron North America. The material that we were
19 supplying is astragalus membranaceus. The 10 to 1
20 is how they standardize. They take 10 parts of the
21 root to make 1 part of the extracted powdered
22 material.

1 So as long the monographs, and the JP, and
2 USP, et cetera are for the membranaceus, then yes.
3 And actually, I think the USP specifies some other
4 forms of astragalus as well.

5 DR. HOAG: Does that imply that you have a
6 variable extraction process? Did you get that 10
7 to 1 ratio? Do you worry about the phytochemical
8 profile in there at all? The USP had something
9 like not less than.

10 MS. KIEFFER: Right. At this point, we
11 don't supply that material anymore, but if we're
12 going to continue to supply it, we would source USP
13 material at this point because that USP monograph
14 now exists.

15 DR. GULUR: Could you clarify? The USP
16 monograph now exists?

17 MS. DAVIDSON: There are actually three, but
18 they're all dietary supplement monographs, which
19 are not applicable to this process.

20 DR. GULUR: Dr. Johnson?

21 DR. JOHNSON: The folks from the botanicals
22 review who reviewed astragalus would like to make a

1 comment.

2 DR. LI: This is Jing from the botanical
3 review team. Based on my understanding, and the
4 knowledge, and searching from the different
5 pharmacopeia, including USP pharmacopeia for the
6 astragalus, there is only the powder for the root
7 and extract and no specific mention about the
8 extract of 10 to 1.

9 DR. GULUR: A question for you would be, on
10 this paper that you had brought -- I'm sorry, this
11 is for the speaker -- on Zhao [ph], the wound
12 healing effect of astragalus.

13 Could you comment on what they had used as
14 their materials? When I read that description, it
15 seems to indicate multiple materials were in there.

16 MR. WYNN: Right. I mean, it was the
17 astragalus, but I think it was a complex as well of
18 other -- I'd have to go back and look at that.

19 DR. GULUR: When I read it, there seems to
20 be streptomycin, penicillin, a few other substances
21 in there, and I'm just wondering how they came to
22 the conclusion that the astragalus was the active

1 ingredient that resulted in wound healing.

2 Did you have a chance to look at that in
3 further detail?

4 MR. WYNN: I'm afraid I did not.

5 DR. GULUR: Dr. Jungman?

6 MS. JUNGMAN: You described the good
7 agricultural practices as a way of giving ourselves
8 some assurance with regard to the characterization.
9 I'm just curious about how you assure yourself that
10 those practices are being followed, being
11 implemented and following as a purchaser of them?

12 MR. WYNN: Sure. That's a very good point.
13 You would have to be assured when you go to
14 interview a possible supplier of that particular
15 powder, how do you come about it; what kind of
16 things? And I'm sure that the ones that are under
17 those are going to present that we are part of this
18 good agricultural practicing

19 So it's more or less before you're going to
20 buy, something you're going to inquire about. And
21 Kim wants to --

22 DR. GULUR: I guess a clarification we're

1 seeking is how would you compare this to the GMP
2 practices, GAP [ph] practice there, and how are
3 compounders here in the United States informed that
4 they should be looking for these aspects when they
5 interview Chinese manufacturers?

6 MR. WYNN: The compounders probably
7 themselves are going to directly go to the
8 manufacturer. They're going to go to a supplier,
9 so then a supplier is the one that's going to make
10 sure that they're following -- whether it be GMCP,
11 FDA-approved, or anything of that nature. So I
12 don't think there are too many compounders who are
13 going to go directly to a supplier.

14 DR. GULUR: How many suppliers are there
15 here in the United States?

16 MR. WYNN: Of astragalus?

17 DR. GULUR: Or who might potentially?

18 MR. WYNN: That I don't know just because we
19 don't carry the preparation anymore at this time.
20 We did at the time it was nominated, but now, we
21 actually don't have it in stock.

22 DR. GULUR: Can you elaborate on why you no

1 longer carry this?

2 MR. WYNN: I don't think it was anything
3 that was an issue of a problem with it. It was
4 more or less, again, depending upon the usage
5 possibly, how much that we were utilizing out. And
6 then of course with changes that's gone with the
7 monographs, we would want to change how we're going
8 to search out those particular suppliers as well.

9 DR. GULUR: Thank you.

10 Any further clarifying questions, Dr.
11 Jungman?

12 MS. JUNGMAN: I just want to understand the
13 relationship between the nomination and the
14 monograph. So are you suggesting that probably the
15 thing to put on the bulk substance list wouldn't be
16 this 10 to 1, but would be actually something that
17 you'd find in the dietary supplement monograph?

18 MR. WYNN: What we're saying now is, at the
19 time it was nominated, you didn't really have that
20 to go by. Now, when you're going to want to go and
21 search out a supplier of that particular API or
22 powder, you're going to want to -- since that's

1 what you have, you're going to want to focus on
2 that monograph to make sure that it's compliant
3 with that.

4 MS. JUNGMAN: But we're voting on the 10 to
5 1. Is that different than what's in the dietary
6 supplement monograph? Yes. Thank you.

7 MS. DAVIDSON: Just for clarification, the
8 three dietary supplement monographs are astragalus
9 root, astragalus root powder, and astragalus root
10 extract.

11 Committee Discussion and Vote

12 DR. GULUR: We do not have any open public
13 hearing speakers. The open public hearing portion
14 of this meeting has now concluded, and we will no
15 longer take comments from the audience. We will
16 now begin the panel discussion for astragalus.

17 The first question I'd like to pose to the
18 FDA is it appears that the nominated substance is
19 not something the nominators, for that matter,
20 intend to move forward with. Is that of any
21 pertinency, or are we expected to vote just on
22 10 to 1 and move forward with that?

1 MS. BORMEL: That was what nominated, so we
2 evaluated that, and we would move forward with it
3 because it is in the docket as a nominated bulk
4 substance.

5 DR. GULUR: Thank you.

6 Any other clarifying questions from
7 Dr. Davidson?

8 MS. DAVIDSON: If a traditional herbalist or
9 Chinese medicine practitioner wanted to refer a
10 patient for treatment with astragalus, would that
11 be a problem if we don't put it on the list? Would
12 they still be able to obtain the substance as a
13 botanical or dietary supplement and still use it on
14 their patients?

15 MS. BORMEL: Yes.

16 MS. DAVIDSON: I thought so, but I just
17 wanted to clarify.

18 DR. GULUR: Dr. Desai?

19 DR. DESAI: Just to follow up on Dr. Gulur's
20 question for the FDA, since we're only looking at
21 10 to 1, if the nominators or a future nominator
22 wanted to revisit this on a different formulation,

1 that would go through the process again. Correct?

2 MS. BORMEL: Correct.

3 DR. GULUR: We will now end our discussions
4 and start the vote. Do we have a question? I
5 apologize.

6 MS. BORMEL: I just wanted to follow up on
7 what Dr. Desai asked. We would look to see if that
8 particular nomination was supported adequately by
9 information that we hadn't yet evaluated.

10 DR. GULUR: Thank you. We will now end our
11 discussions and start the vote. The question
12 before us, FDA is proposing that astragalus extract
13 10 as to 1 not be included on the 503A bulks list.
14 Should astragalus extract 10 as to 1 be placed on
15 the list?

16 If you vote no, you are recommending FDA not
17 place the bulk drug substance on the 503A bulks
18 list. If the substance is not on the list when the
19 final rule is promulgated, compounders may not use
20 the drug for compounding under Section 503A unless
21 it becomes the subject of an applicable USP or NF
22 monograph or a component of an FDA-approved drug.

1 If there is no further discussion, we will
2 now begin the voting process. Please press the
3 button firmly on your microphone that corresponds
4 to your vote.

5 You will have approximately 15 seconds to
6 vote. After you have made your selection, the
7 light will continue to flash. If you are unsure of
8 your vote, please press the corresponding button
9 again.

10 (Voting.)

11 DR. CHEE: We have zero yeses, 13 nos, and
12 zero abstain.

13 DR. GULUR: Dr. Carome, if we could start
14 with your comments.

15 DR. CAROME: I'm Mike Carome. I voted no.
16 I was most concerned about the complexity of the
17 compounds in this preparation and the lack of
18 adequate characterization, and secondly data to
19 support. Its long-term safety and effectiveness
20 just doesn't really exist.

21 DR. HOAG: Steve Hoag. I voted no. The
22 main reason was the characterization. There was

1 all these pharmacopeias, and it wasn't really clear
2 how this materially relates to the pharmacopeia
3 forms and things. I think the pharmacopeia has put
4 a lot of thought and effort into defining what the
5 material is and this lacked that.

6 MS. JUNGMAN: Elizabeth Jungman. I voted no
7 for similar reasons. I would just add that the
8 poor characterization made it unclear how the
9 formulation in the available studies related to the
10 formulation that would be used in practice.

11 DR. BOGNER: Robin Bogner. I voted no
12 because there is now a monograph, and it wasn't
13 clear what the 10 to 1 formulation was.

14 DR. PATEL: Kuldip Patel. I voted no due to
15 the lack of comparative efficacy and also the
16 physical and chemical characteristics.

17 DR. DESAI: Seemal Desai. I also voted no.
18 I actually found the data presented both by the FDA
19 and the nominator, particularly for diabetes and
20 wound healing, to be interesting. But what wasn't
21 really clear was how the 10 to 1 formulation would
22 really interact with the studies and the different

1 versions that were presented, so I voted no for
2 those reasons.

3 DR. GULUR: Dr. Wall, on the phone, if you
4 could, give us your comments.

5 DR. WALL: I voted no because of the
6 complexity of the physical and chemical properties
7 and for the other reasons that were mentioned.

8 DR. GULUR: Dr. Humphrey?

9 MR. HUMPHREY: William Humphrey. I voted no
10 for many of the same reasons, the lack of clinical
11 efficacy and the confusion about the formulation.

12 DR. GULUR: Dr. Davidson?

13 MS. DAVIDSON: Gigi Davidson. I voted no.
14 I still am not sure what 10 to 1 means, and I would
15 have been more satisfied with the substance if it
16 had been one of the ones that was monographed in
17 the dietary supplements because those are very well
18 characterized.

19 I was also influenced about the lack of
20 knowledge about which of these forms were used in
21 the clinical studies and was not convinced of
22 efficacy and very compellingly was the fact that

1 the nominator no longer offers this substance for
2 sale.

3 DR. GULUR: Padma Gulur. I would have to
4 agree with everything that's been said so far,
5 poorly characterized 10 as to 1 is unclear. What
6 formulations actually have been used in the studies
7 appears to be unclear. Efficacy, even in those
8 studies, is questionable given the fact that
9 multiple substances were used. So for all those
10 reasons, I voted no.

11 Dr. Venitz on the phone?

12 DR. VENITZ: Jurgen Venitz. I voted no.
13 Criteria number one, the lack of characterization,
14 was kind of overriding anything else.

15 DR. VAIDA: Allen Vaida. I voted no for all
16 the reasons that have already been mentioned,
17 especially that the nominator doesn't even offer
18 the product any more.

19 DR. BURMAN: Ken Burman. I voted no for
20 basically the same reasons, the poor
21 characterization physically and chemically. The
22 extract is not well characterized and has not been

1 used alone in most studies. There are no long-term
2 studies, safety not established, and with regard to
3 diabetes, there's a lack of significant endpoints
4 that we need to know.

5 DR. GULUR: Thank you very much. With that,
6 we will not be taking the break that has been
7 scheduled after this. We will take it after the
8 next section. We will now proceed with the FDA
9 presentation on EGCg by Dr. Johnson.

10 FDA Presentation - Susan Johnson

11 DR. JOHNSON: Good afternoon. I feel like
12 my charge today is to echo what other folks have
13 said with a slightly different effect. So I'm
14 going to say similar things, but they're not the
15 same, so I'd just encourage us again to think about
16 the transitions between these.

17 My name is Sue Johnson. I'm from the Office
18 of Drug Evaluation IV in CDER's Office of New
19 Drugs. The nominated substance we're talking about
20 now is epigallocatechin gallate or EGCg.

21 I'd like to thank members of the review
22 team, especially contributors from the Division of

1 Metabolic and Endocrine Products, and Dr. Chambers
2 from the Division of Transplant and Ophthalmology
3 Products, who is an ophthalmologist if we have any
4 specific questions.

5 EGCg has been nominated for inclusion on the
6 503A list. There are seven proposed uses,
7 including weight loss and the treatment of obesity,
8 diabetes, cardiac hypertrophy, corneal
9 neovascularization, non-alcoholic fatty liver
10 disease or NAFLD, Parkinson's disease, and wound
11 healing. The proposed routes of administration
12 include oral, ophthalmic, and topical.

13 EGCg is a polyphenol compound, specifically
14 a catechin, which is a type of flavonoid. EGCg
15 itself is a well-characterized compound. The
16 nomination for EGCg states that the substance is
17 intended to be added to the 503A list as a
18 substance that contains at least 94 percent EGCg.

19 The components of the other 6 percent or up
20 to 6 percent of the substance have not been
21 identified to FDA. We considered the nominated
22 substance and subject of our review to be EGCg.

1 This is not considered a botanical product. EGCg
2 is soluble in water, but is unlikely to be stable
3 under ordinary storage conditions, either as a
4 solution or a solid.

5 EGCg can be chemically synthesized, but due
6 to the complexities and expense of the process,
7 it's typically extracted from green tea leaves. As
8 we've noted in the other reviews, compounders
9 should use the information in the certificate of
10 analysis accompanying the bulk drug substance to
11 evaluate any potential safety and quality issues.

12 In conclusion, EGCg is well characterized,
13 and the nominated substance has up to 6 percent
14 impurities. EGCg is unlikely to be stable in the
15 proposed formulations under normal storage
16 conditions.

17 EGCg is abundant in green tea leaves, but
18 the content of EGCg in green tea is very low. As
19 such, it's difficult to provide a reliable estimate
20 of the comparative amount of EGCg in a dietary
21 supplement product containing 200, for example,
22 milligrams of EGCg per capsule and the average

1 intake of EGCg from green tea beverage consumption.

2 In 2005, the U.S. Department of Agriculture
3 conducted a survey of green teas on the market in
4 the United States and found that the content ranged
5 from 2 to nearly 54 milligrams of EGCg per gram of
6 dry tea leaves. That provides some insight that,
7 depending on how much tea an individual may
8 consume, ingestion of EGCg from green tea as a
9 beverage or from a dietary supplement, and also
10 from the clinical studies we will discuss, could be
11 comparable amounts.

12 Extraction of green tea can produce nearly
13 100 percent pure EGCg. So for simplicity in this
14 presentation, we're referring to studies using
15 substances containing approximately 94 to
16 100 percent EGCg as EGCg studies. We found very
17 few of these.

18 Studies of substances with lower EGCg
19 content we're calling green tea studies. We do not
20 exclude the possibility that safety or efficacy
21 data derived from green tea formulations are
22 pertinent to EGCg itself, but we do not have

1 sufficient information to assess the validity of an
2 extrapolation.

3 We also note that EGCg is a component of an
4 FDA-approved product. Veregen is a topical product
5 indicated for the treatment of genital and perianal
6 warts. Veregen contains 15 percent sinocatechins,
7 which is a proprietary extract of green tea
8 containing 55 percent EGCg.

9 EGCg is often referred in the literature to
10 be the most bioactive component of green tea and is
11 generally considered to be an antioxidant. It's
12 therefore often theorized to be the component of
13 green tea that would be most likely to have
14 pharmacologic activity, and that's why it is
15 specifically studied separately from astragalus
16 preparations.

17 Some in vitro and in vivo data that we found
18 might suggest that pharmacologic mechanisms related
19 to the activity of EGCg exists for all of the
20 various proposed uses. For example, various
21 aspects of wound healing, such as
22 reepithelialization were found to be improved by

1 topical application of EGCg in a mouse model of
2 type 2 diabetes.

3 The pharmacokinetics of EGCg have been
4 studied in animals and humans. EGCg has very low
5 bioavailability, which is somewhat increased by
6 fasting conditions. But there are multiple pre-
7 systemic mechanisms active in the small intestine
8 to prevent absorption, such as extensive metabolism
9 and an efflux transporter.

10 EGCg undergoes first-pass metabolism and is
11 also metabolized by gut flora in the large
12 intestine. Given the limited bioavailability,
13 there are reports in the literature about
14 strategies being investigated to improve the
15 systemic delivery of EGCg via the oral route. We
16 found no information about pharmacokinetics
17 associated with a topical application.

18 We did find a study that describes a drug
19 interaction with boronic-based proteasome
20 inhibitors, specifically Velcade, in vitro and in
21 vivo in a mouse model. In this study, the
22 investigators had undertaken it to determine

1 whether or not EGCg consumption would be of benefit
2 to their cancer patients, and they were surprised
3 to find that, in fact, it actually blocked the
4 activity of the specific drug.

5 I use this as an example of what you don't
6 know when there are impurities. There was a direct
7 covalent bond formed between the boronic-based
8 proteasome inhibitor and EGCg. And in retrospect,
9 the investigator said they should have been able to
10 predict it based on the polyphenol chemical
11 structure, but they were actually investigating for
12 the opposite reason. And that's just an example of
13 one of the things you couldn't know unless you
14 started to investigate at this level.

15 This slide shows the results of our search
16 for non-clinical safety studies from EGCg studies.
17 At high dose in acute toxicity, EGCg shows
18 hepatotoxicity and death. We found no studies of
19 these various types with the 94 to 100 percent EGCg
20 studies.

21 We also looked at non-clinical safety
22 studies that use green tea formulations. Here are

1 the results from a series of studies from the
2 National Toxicology Program using a preparation of
3 less than 50 percent EGCg. There are other studies
4 described in the review of green tea, but this
5 series from the NTP provides an overview of the
6 effects of a consistent formulation.

7 In repeat-dose toxicities, the NOAEL was
8 100 milligrams per kilogram. At higher doses in
9 rats, hepatic and stomach mucosal necrosis was
10 observed as well as increased mortality, And mice
11 showed liver inflammation and hematopoietic cell
12 proliferation. The Ames assay was positive only in
13 two bacterial strains in the presence of metabolic
14 induction.

15 There were only minor findings in three-
16 month developmental and reproductive toxicity
17 studies from NTP, and carcinogenicity findings
18 based on a two-year study were considered to be of
19 questionable relevance.

20 Looking at clinical safety, the FAERS
21 database was searched to include EGCg and green
22 tea, but to exclude reports of Veregen and

1 Hydroxycut. Briefly, Hydroxycut is a dietary
2 supplement product line that contained green tea
3 extract and was recalled in 2009 due to 23 reports
4 having been received by the FDA of adverse liver
5 effects. These include asymptomatic
6 hyperbilirubinemia, jaundice, liver damage, liver
7 transplant, and death.

8 FDA stated at the time of the recall that we
9 could not determine the exact ingredients that
10 might be associated with the liver injury. The
11 product line was reformulated and no longer
12 contains green tea extract.

13 From FAERS, one report was of a possible
14 drug interaction was cyclosporine and the other
15 three were of hepatotoxicity. The CAERS database
16 contained 200 reports associated with EGCg or green
17 tea products; 72 of these were about Hydroxycut
18 products, the other 128 contained 11 reports of
19 liver injury or liver failure. There were also two
20 cases of dermatologic reactions to a moisturizer
21 topical product containing green tea.

22 We found safety data in two EGCg clinical

1 studies. And just a reminder, these are products
2 that are 94 to 100 percent EGCg rather than green
3 tea. Both studies assess liver function and
4 neither identified abnormalities.

5 The nominator has identified that a study
6 cited in our review that was considered a green tea
7 study actually included EGCg treatment of 16
8 healthy adults taking either 400 or 800 milligrams
9 of EGCg daily for 4 weeks. Adverse events were
10 reported to be mild and included various
11 gastrointestinal symptoms, dizziness, headache, and
12 muscle pain.

13 In addition, we did not find safety data
14 from studies of other proposed uses, but there has
15 been a study of EGCg used as a topical ophthalmic
16 product in which no side effects of treatments were
17 observed. The indication there was dry eye.

18 We note that our review of green tea studies
19 includes reports of serious hepatotoxicity. Again,
20 that's with green tea products.

21 In conclusion, we found limited safety data
22 available from EGCg formulations. Hepatotoxicity

1 was seen in non-clinical and clinical data
2 associated with green tea preparations, and the
3 association of these events to the EGCg content of
4 these preparations cannot be fully assessed.

5 Our efficacy review focuses, again, on EGCg
6 studies. Additional information about efficacy
7 studies of green tea formulations, including
8 several meta-analyses for obesity and diabetes is
9 contained in the review. We found two placebo-
10 controlled EGCg studies in overweight or obese
11 women in which doses of 300 or 150 milligrams of
12 EGCg daily were dosed for a period of approximately
13 3 months. No clinically important differences were
14 seen between groups.

15 In a study of gestational diabetes in which
16 404 pregnant women received EGCg during their last
17 trimester of pregnancy, EGCg appeared to be
18 associated with a treatment effect. The EGCg group
19 had significantly lower fasting plasma glucose and
20 other positive effects.

21 In an 8-week study, placebo controlled, of
22 88 overweight or obese men, no clinically

1 significant difference in various parameters
2 related to glucose or insulin were found. I will
3 just note that the studies of obesity and weight
4 loss also had parameters related to diabetes as
5 part of their protocol.

6 One study of green tea polyphenols formed in
7 10 newly diagnosed Parkinson's patients was found
8 on clinicaltrials.gov. We did not find a
9 publication of the results of this trial, although
10 the website for Michael J. Fox's Foundation for
11 Parkinson's Research has a high-level summary of a
12 study that could be the same trial.

13 The summary states that mild symptomatic
14 benefit was observed in untreated patients, but we
15 have no details in which to assess this conclusion.
16 And when I say untreated patients, I mean patients
17 that were not receiving a treatment other than the
18 EGCg.

19 No clinical efficacy data were identified
20 for the use of EGCg in cardiac hypertrophy, corneal
21 neovascularization, NAFLD, or wound healing.

22 Based on the consultation with our

1 dermatology colleagues, we noted that clinical
2 studies of wound healing would not generally
3 include treatments of dermatitis or keloids unless,
4 in particular, dermatitis had progressed to the
5 level of ulceration.

6 Although EGCg was isolated from green tea
7 decades ago, it's unknown how long or the extent to
8 which it's been used in compounding. EGCg is
9 available as a dietary ingredient in dietary
10 supplement products.

11 In conclusion, EGCg is well characterized
12 and makes up at least 94 percent of the nominated
13 substance. EGCg is not likely to be stable under
14 ordinary conditions for oral, ophthalmic, or
15 topical formulations. We found little safety data
16 specific to EGCg.

17 Non-clinical and clinical safety data for
18 green tea formulations show a consistent evidence
19 of an association with hepatotoxicity. We cannot
20 identify or rule out a causal relationship between
21 green tea component EGCg and hepatotoxicity.

22 We found a treatment effect suggested in a

1 single study of gestational diabetes, but no
2 evidence of clinical efficacy for EGCg in weight
3 loss, Parkinson's disease, cardiac hypertrophy,
4 corneal neovascularization, non-alcoholic fatty
5 liver disease, or wound healing.

6 It's unknown how long or the extent to which
7 EGCg has been used in compounding. Overall, a
8 balancing of the four evaluation criteria, in FDA's
9 opinion, weighs against EGCg being added to the
10 list of bulk drug substances under 503A. Happy to
11 take questions.

12 Clarifying Questions from the Committee

13 DR. GULUR: Thank you. We will accept
14 clarifying questions from the committee.

15 Dr. Desai?

16 DR. DESAI: Thank you very much,
17 Dr. Johnson. Just a technical question. When you
18 mentioned the Veregen, which has the sinocatechins
19 already in it, is the reason that EGCg is not
20 exempted under the fact that it's already an
21 approved drug is because that is a component of
22 sinocatechins. Is that correct?

1 I guess it's more of a technical question.
2 My understanding was, if we already have an
3 ingredient that's approved as an FDA drug, then it
4 would be exempt from the list. Is that correct?
5 And since sinecatechins contains 55 percent EGCg --

6 DR. LAWSON: The answer is correct, that
7 this is a component of sinecatechins.

8 DR. DESAI: So because it's a component of
9 the actual approved ingredient, that it's not
10 exempt.

11 DR. LAWSON: We consider it not the same.

12 DR. JOHNSON: If I could clarify just a
13 little further, it's a component of a botanical --

14 DR. DESAI: Correct.

15 DR. JOHNSON: -- and that's really the
16 distinction here. If it were just 1 of 6
17 ingredients in a drug substance, in a drug product,
18 and all of them were drugs and not botanicals, then
19 you still would be allowed to be compounded, but
20 this was a botanical. It was the first NDA
21 botanical approved.

22 DR. DESAI: Again, just a technical, but

1 it's because it's a component of a botanical or a
2 component of the ingredient, not the ingredient
3 itself?

4 DR. JOHNSON: Aside from botanicals, if I
5 had a cream made up of A, B, and C drugs --

6 DR. DESAI: Got it.

7 DR. JOHNSON: -- A, B, and C would be
8 allowed to be compounded.

9 DR. DESAI: Then the second question I had
10 is when you talked about wound healing under
11 general pharmacology, one of the studies that was
12 discussed was the type 2 diabetic study that showed
13 improvement in wound healing, but you mentioned
14 something about high-dose toxicity.

15 Can you just clarify that?

16 DR. JOHNSON: So that was in an animal
17 study. I don't remember which rodent. EGCg was
18 soaked out to a sponge. The sponge was applied to
19 the wound. And at high doses, there was actually
20 irritation of the wound.

21 DR. DESAI: Irritation of the wound. Got
22 it.

1 DR. GULUR: Yes?

2 MS. BORMEL: I just wanted to further
3 clarify what Dr. Johnson and Dr. Lawson said. With
4 respect to the Veregen, the sinecatechins is the
5 active ingredient in that, and the EGCg is just a
6 component --

7 DR. DESAI: A component of that.

8 MS. BORMEL: -- of the sinecatechins. It's
9 not the sinecatechin.

10 DR. DESAI: It's not the same thing.

11 MS. BORMEL: Yes, correct.

12 DR. DESAI: I just wanted to make sure that
13 was a technicality that was clarified. Thank you.

14 MS. BORMEL: Correct.

15 DR. DESAI: Thank you.

16 DR. GULUR: Dr. Bogner?

17 DR. BOGNER: I just want to clarify the
18 relationship between EGCg and this Hydroxycut that
19 was removed from the market. Was the EGCg the main
20 ingredient, an ingredient? And what was the
21 relationship between that and its removal from the
22 market?

1 DR. JOHNSON: Looking through the FDA
2 information that's on our website about what
3 happened during that circumstance, the actual
4 recall was for the Hydroxycut product line, and
5 there was no explanation offered because we did not
6 have sufficient data to point to an ingredient or
7 ingredients.

8 So we did not point to green tea extract,
9 which was a component of Hydroxycut, or EGCG
10 specifically. And I don't believe I saw any place
11 where EGCG was quantified in the Hydroxycut line.
12 There is still Hydroxycut marketed. It's no longer
13 marketed with green tea extract. So all of those
14 links lack data.

15 DR. BOGNER: One follow-up question if I
16 may. Was green tea extract the only active
17 ingredient in the Hydroxycut that was removed?

18 DR. JOHNSON: No. Most of them are multi-
19 ingredient supplements to my knowledge. Thank you.

20 DR. BOGNER: Thank you.

21 DR. GULUR: Any further clarifying questions
22 for members on the phone?

1 (No response.)

2 DR. GULUR: Thank you, Dr. Johnson.

3 We will now proceed with the nominator
4 presentations. We have one presentation,
5 Ms. Kimberly Kieffer from Fagron.

6 Nominator Presentation - Kimberly Kieffer

7 MS. KIEFFER: Good afternoon. I'm Kim
8 Kieffer from Fagron North America. I wanted to
9 speak a little bit to the purity of the EGCg since
10 we're talking very technically in this meeting.
11 Upon review of our C of A for this particular
12 material, 94 percent is the minimum purity. It's
13 typically in a range from 94 to 100 percent. It
14 can also contain up to 5 percent water as part of
15 its specification. So a current C of A, for
16 instance, has an activity of 97 percent with 3
17 percent water content. That might speak a little
18 bit to some of the impurities that are unknown.

19 In addition, this material is assayed for
20 heavy metal content, mold, yeast, et cetera, with
21 specific specifications for those heavy metals, not
22 just pass. And if you would like, I can supply

1 that example to you at some point later on.

2 Thank you, FDA, first of all for your
3 extensive review of this. There were a lot of
4 conditions that were nominated or indications
5 nominated for this particular substance.

6 I wanted to specify again for everyone that,
7 when we created these nominations three years ago,
8 we weren't really sure exactly what FDA was looking
9 for. We tried to pull as much information together
10 to show that there were potential uses for it,
11 there were some safety data, et cetera. As the
12 supplier of the compounding materials and even the
13 compounders themselves, we don't necessarily know
14 what physicians are going to come and want to use
15 particular substances for.

16 In addition, new publications and literature
17 come out every single day. So in reviewing for
18 this particular meeting, I found a lot more data on
19 EGCg than we were able to find when we did these
20 nominations three years ago.

21 I've included on this slide some of the
22 conditions and disease states that EGCg's been

1 studied for, and I actually ran out of room on the
2 slide because it goes on, and on, and on. But
3 today, for this presentation, I wanted to
4 specifically only focus on what we're seeing it
5 being used for and compounded preparations.

6 We're not seeing it being used topically.
7 We're not seeing it being used for eyedrops, not
8 that the potential use couldn't exist. But what we
9 are seeing it for is being used in the treatment of
10 wounds and scars. EGCg has shown the potential to
11 enhance wound healing and prophylaxis for fibrosis
12 and scarring.

13 It's typically compounded into creams, gels,
14 and ointments. A typical time that a patient might
15 have these particular topical formulations is only
16 30 days. That's per USP specifications. Typical
17 dosage range is 0.1 to 1 percent, which is fairly
18 consistent with the data that we do have that
19 supports this use.

20 It's most often compounded in combination
21 with other ingredients, so corticosteroids,
22 anesthetics, skin lightening agents, things that

1 you would typically use in the treatment of scars.
2 And typical length of therapy is usually -- my
3 research and discussions with clients is typically
4 1 to 3 months.

5 So just some highlights in terms of when
6 we're looking at this data, or physicians, or
7 compounders are looking at the data that is
8 available, EGCg has been shown to be potentially
9 effective in regulating the secretion of cytokines
10 in the activation of skin cells; has been shown to
11 have anti-inflammatory and antioxidant properties.

12 EGCg has been shown in studies to affect the
13 role of TGH beta 1 or F-beta 1; enhances wound
14 healing by accelerating reepithelialization and
15 angiogenesis; improves the cellular reorganization
16 of granulation tissue. EGCg has been shown in
17 in vitro and in vivo studies to reduce fibrosis and
18 the contractions often associated with scarring.

19 I wanted to talk about safety since that was
20 a big part of the FDA's review. This is the
21 pharmacokinetics and safety of green tea
22 polyphenols after multiple dose administration of

1 either the EGCg itself or as polyphenon E.

2 Polyphenon E as a dietary supplement that contains
3 a specific amount of EGCg.

4 This was done in 40 healthy men and women.

5 One of 5 treatments was given 800 milligrams EGCg
6 once a day, 400 milligrams EGCg twice a day,
7 800 milligrams EGCg as polyphenon E once a day, or
8 400 milligrams EGCg as polyphenon twice a day, or
9 placebo. That was a 4-week-length study. Adverse
10 events were excess gas, upset stomach, nausea,
11 heartburn, stomach ache, abdominal pain, dizziness,
12 headache, muscle pain.

13 This is just a chart of the distribution of
14 the treatment arms.

15 Adverse effects were rated as mild events.

16 Common events included headaches, stomach ache,
17 abdominal pain, and nausea. All adverse events
18 noted were reported in subjects receiving green tea
19 polyphenol treatment as well as placebo. No
20 significant changes in blood counts and blood
21 chemistry were observed, and the conclusion was
22 that oral administration of EGCg or polyphenon E at

1 a dose of 800 milligrams a day for 4 weeks was safe
2 and tolerated.

3 This is another study, another oral study in
4 humans, randomized placebo-controlled trial,
5 evaluated in the safety of one-year administration.
6 So this is 49 men randomized to the treatment arm
7 and 48 to the placebo group. They were
8 administered in a fed state, 200 milligrams of EGCg
9 per day for 12 months. No liver or other
10 toxicities were observed. A single report of grade
11 3 nausea was reported. No other dose-limiting
12 toxicities were observed.

13 Conclusion. Daily intake of standardized
14 catechin mixture containing 200 milligrams EGCg
15 taken twice a day with food for one year did
16 accumulate in the plasma and was well tolerated and
17 did not produce treatment-related adverse events.

18 This was a dermal study or just an animal
19 study in general. There was a dermal arm to it,
20 but there was also oral and subQ parts, but this
21 chart shows the distribution of animal studies and
22 the concentrations of EGCg that were used.

1 So the highlights. No systemic signs of
2 toxicity were observed in any of the rats following
3 dermal application of 93 percent EGCg. Minor
4 dermal irritation was observed in rats and guinea
5 pigs, but not in rabbits. Moderate dermal
6 sensitizing in the guinea pig maximization test was
7 observed.

8 Oral doses of 2,000 milligrams EGCg
9 preparation per kilo was lethal in rats, whereas a
10 dose of 200 milligrams EGCg per kilo induced no
11 toxicity. In a 13-week rat study, no toxicity was
12 observed in doses up to 500 milligrams per kilo per
13 day. No adverse effects were noted at
14 500 milligrams EGCg preparation per kilo per day
15 administered to pre-fed dogs in divided doses.
16 However, morbidity did occur when administered to
17 fasted dogs at a single bolus dose. However, the
18 author asserted in the article that this model may
19 be unrealistic when applied to humans.

20 From these studies, a no observed adverse
21 effects level of 500 milligrams EGCg preparation
22 per kilo per day was established. From these

1 results, a dose of 5 milligrams EGCg per kilo per
2 day would seem an acceptable daily intake for
3 humans, so for a 60-kilo adult, this would this
4 would be equivalent to 300 milligrams EGCg per day,
5 which is almost consistent with what we saw in the
6 oral studies earlier.

7 This is a topical study that was done in
8 breast cancer patients. This was a study of
9 topical EGCg in patients with breast cancer
10 receiving adjunctive radio therapy. Topical EGCg
11 was prepared and a spray applied to grade 1
12 dermatitis as developed from radiation therapy to
13 see if it would reverse or lessen irritation
14 symptoms.

15 Twenty-four women with pathologically proven
16 breast cancer with a planned course of radiotherapy
17 were selected for this trial. EGCg concentrations
18 were escalated from 40 to 660 micromoles per liter.
19 The therapy was initiated once grade 1 dermatitis
20 occurred from the radiation therapy, and it was
21 applied three times a day to the entire radiation
22 field.

1 The median duration of EGCg treatment was
2 four weeks. No dose-limiting toxicity was
3 observed. No other obvious adverse effects were
4 observed to be related to the topical EGCg
5 treatment. The conclusion was that topical
6 administration of EGCg was well tolerated, and no
7 dose-limiting toxicity was observed.

8 This is the follow-up to that same study.
9 In this one, 49 women with the same pathology were
10 selected, and EGCg concentrations were started at
11 660 micromoles per liter, consistent with the last
12 study. Again, it was initiated once grade 1
13 dermatitis occurred from the radiation therapy and
14 it was applied 3 times a day.

15 The median duration of the treatment was
16 4 weeks. And again, EGCg was well tolerated by all
17 patients. Incidentally, it was also effective in
18 treating irritation as a cause of the radiation
19 therapy.

20 Evidence for use, we don't have a lot of
21 controlled placebo studies for scarring and wound
22 care, but we do have some ex vivo studies and some

1 compelling animal studies, so I thought I would
2 bring those here today.

3 This is a keloid organ control model, and
4 the tissue is maintained in either dexamethasone,
5 50 micrograms per mL, as a positive control, or it
6 was submersed in EGCg 100 units per mL, dissolved
7 in dimethyl sulfoxide and maintained for 4 weeks.

8 The EGCg treatment stimulated cytotoxicity
9 and significantly reduced metabolic activity from
10 1 week to 4 weeks, compared with the vehicle-
11 treated dimethyl sulfoxide control.

12 Dexamethasone reduced higher cytotoxicity
13 and lower metabolic activity in comparison.
14 However, EGCg and dexamethasone both significantly
15 reduced collagen 1 and collagen 3 transcription.
16 The EGCg group showed significant reductions in
17 secreted collagen 1 and 3 compared to the
18 dexamethasone group that did not show significant
19 changes. The author concluded that, overall, EGCg
20 reduced interkeloid collagen synthesis more
21 efficiently than the dexamethasone.

22 This is a mouse study, promotion of full

1 thickness, wound healing using EGCg in a polylactic
2 co-glycolic acid membrane as a temporary wound
3 dressing. This study implies that EGCg regulates
4 the secretion of cytokines in the activation of
5 skin cells during the wound healing process.

6 In this study, various concentrations of
7 EGCg were added to the electro spun membranes
8 composed of PL/GA, and its healing effects on full-
9 thickness wounds created in [indiscernible] mice
10 were investigated.

11 Cell infiltration of mice treated with
12 electrospun membranes containing 1 percent EGCg
13 significantly increased after 2 weeks.
14 Reepithelization at the wound site and formation of
15 blood vessels also increased in the mice treated
16 with 1 percent EGCg and PL/GA membranes in
17 comparison with mice treated only with PL/GA
18 membranes.

19 These results suggest that 1 percent EGCg
20 can enhance wound healing and full-thickness wounds
21 by accelerating cell infiltration,
22 reepithelization, and angiogenesis.

1 Finally, one more mouse study. I think FDA
2 already presented this one. This is enhanced wound
3 healing by an EGCg-incorporated collagen sponge in
4 diabetic mice. Various concentrations of the EGCg
5 were incorporated into collagen sponges in order to
6 investigate its healing effect on full-thickness
7 wounds created in type 2 diabetic mice.

8 At 14 days, the residual wound size of mice
9 treated with just 10 parts per million EGCg-
10 incorporated collagen sponges decreased
11 significantly faster than untreated mice.
12 Significant increases in reepithelization,
13 thickness, granulation tissue, and the density of
14 the capillaries were observed in the wound sites
15 exposed to 10 parts per million EGCg and collagen
16 sponges in comparison with the others.

17 These results suggested that EGCg-
18 incorporated anti-collagen sponge at low
19 concentrations can enhance wound healing in
20 diabetic mice by accelerating reepithelization and
21 angiogenesis as well as improving the cellular
22 reorganization of granulation tissue by triggering

1 the activity of microfiber blasts.

2 So in conclusion, EGCg has been used in
3 compounded preparations for the management of
4 wounds and scars because of this type of
5 information. And this information suggests that
6 topical EGCg may improve wound healing and reduce
7 scar formation. Topical application studies in
8 humans observed no limiting dose toxicity.

9 Ex vivo studies using keloid organ culture
10 models conclude that EGCg reduces collagen
11 synthesis, and animal studies on wound closer
12 suggest that topical EGCg enhances reepithelization
13 angiogenesis. Thank you.

14 Clarifying Questions from the Committee

15 DR. GULUR: Thank you.

16 We will now accept clarifying questions.

17 Dr. Wall on the phone?

18 DR. WALL: Thank you. Just a quick
19 question. You had mentioned -- you said your
20 current use when it is being made is combined with
21 multiple other ingredients.

22 Is that correct, the topical creams or

1 ointments?

2 MS. KIEFFER: Typically, yes.

3 DR. WALL: With you and your colleagues'
4 experience, what have you seen that contributes
5 when you add it to those other ingredients? What
6 changes or the clinical [inaudible].

7 MS. KIEFFER: Are you asking what results
8 we're seeing?

9 DR. WALL: Yes. What are you seeing
10 [indiscernible] ingredients, kind of clinical?

11 MS. KIEFFER: Unfortunately, I don't have
12 that data. I don't see patients. I only see
13 people seeking active ingredients.

14 DR. WALL: Thank you.

15 DR. GULUR: Thank you. Dr. Desai?

16 DR. DESAI: The study that you mentioned
17 with the results showing that the combination of
18 the EGCg with the PL/GA was superior to the PL/GA
19 alone, was that a mouse model study?

20 MS. KIEFFER: Correct. Yes.

21 DR. GULUR: Dr. Patel?

22 DR. PATEL: Going back to the question of

1 stability in the FDA's report, it was mentioned
2 that it's not a stable compound and that the
3 stability was not documented for beyond 6 days.
4 When you add mixing with steroids and anesthetics,
5 how do you guarantee the potency of the active
6 ingredient?

7 MS. KIEFFER: I think that's the case with
8 any combination of ingredients. We can't.
9 However, USP guidelines give us a very strict
10 adherence for preparing things in that matter and
11 that they cannot be held for more than 30 days.

12 DR. GULUR: Could you clarify that again?
13 It's 30 days and yet the stability here was 6 days?

14 MS. KIEFFER: Without a stability study,
15 USP allows us to compound things for 30 days
16 beyond use dating.

17 DR. GULUR: Please clarify.

18 DR. PATEL: Is that because in reference to
19 795?

20 MS. KIEFFER: It is.

21 MS. DAVIDSON: To clarify, those default
22 dates are when there is no other evidence

1 available, and I think there's plenty of evidence
2 available that it's not stable in an aqueous
3 solution past 6 days.

4 MS. KIEFFER: That being said, there are a
5 number of compounding bases that can be used that
6 are non-aqueous. So they're ointment and gels that
7 don't contain any water. And in fact, typically,
8 the ointments and gels are what it's being
9 compounded in, and they are silicone and petrolatum
10 base.

11 DR. GULUR: However, you had mentioned that,
12 when you're doing 94 percent, 6 percent is water.
13 So could you clarify how that would work?

14 MS. KIEFFER: All chemicals have a small
15 amount of water in them upon their derivation.

16 DR. GULUR: Thank you. Dr. Bogner?

17 DR. BOGNER: I wanted to follow up on the
18 water. I take it this is a crystalline compound,
19 yes, that one, EGCg. I'm less familiar with having
20 that high a loss on drying for a crystalline
21 compound. I'm more familiar with having that high
22 of a water content in partially amorphous or non-

1 crystalline compounds. Maybe Dr. Hoag can help
2 clarify that.

3 That being said, if I am correct, and that's
4 a very high moisture content, I'm wondering if we
5 have here not something that's fully crystalline.
6 Would you be able to say?

7 MS. KIEFFER: I would need to find out from
8 the manufacturer if it's a fully crystalline
9 substance, but we see high concentrations of water
10 in quite a bit of active ingredients.

11 DR. BOGNER: Let me go back to the water and
12 reactivity piece. Looking at the compound and all
13 those phenolic groups around, it seems to me
14 oxidations would be a big problem, which means
15 you'd have that trouble in a silicone-based gel as
16 well as others.

17 Does anybody know about the reactivity of
18 all these phenolic groups? So if you were to
19 combine this active with another active, would they
20 actually react with each other?

21 MS. KIEFFER: I don't know all the specifics
22 on that. That would be on a case-by-case basis.

1 DR. GULUR: Dr. Jungman?

2 MS. JUNGMAN: Just noting that the
3 nomination was for a number of different
4 formulations, but your presentations seem to
5 primarily be supporting topical use for wound
6 treatment and skin treatment, do you continue to
7 support the ophthalmic and oral formulations, or
8 are you really looking for the topical formulation?

9 MS. KIEFFER: As I was explaining earlier,
10 when we first created these nominations, we really
11 were unclear that specific indications were what
12 FDA was looking for. So we tried to supply as much
13 data as there was or at least a lot of data so that
14 you could see, okay, there are usages, there are
15 potential need, and that there is some safety and
16 efficacy data here, there, and whatever.

17 Again, every single day, we have new
18 literature, so maybe tomorrow, there will be a new
19 use for EGCg that we might support. But I thought,
20 since indication has really surfaced as what we're
21 looking at in these discussions, it would be better
22 to just focus on and give you some idea of what

1 it's actually being used for.

2 DR. GULUR: Dr. Desai?

3 DR. DESAI: Following up on Dr. Jungman's
4 comment, I too was interested because the majority
5 of your presentation was around the topical use.
6 And when we heard Dr. Johnson's presentation, she
7 mentioned several studies including a very recent
8 one, if I'm not mistaken, on the pregnant patients
9 who had an improvement in their glucose levels.

10 Can you comment a little bit? Have you seen
11 that being used at all? Have you had prescriptions
12 come in for that? Have you had usage in other
13 formulations other than topical?

14 Also, in terms of a volume, can you give us
15 a sense of how many topical prescriptions or how
16 much usage you're seeing of this topically?

17 MS. KIEFFER: I have not seen any oral usage
18 in the compounding pharmacy, not that there isn't
19 some, but I have not seen any. And then in terms
20 of volume, all of these substances sort of ebb and
21 flow.

22 For a while, there was a lot of interest in

1 it, and I would imagine that's still consistent.
2 But I wouldn't say it's any immense volume, but I
3 think when we're talking about scarring, we don't
4 have a lot of really great clinical or FDA-approved
5 or even standard of care options, and I think
6 people are always looking for something that's
7 going to help attenuate some of the fibrosis, et
8 cetera.

9 So that's where it really seems to have
10 gotten most of its attention.

11 DR. GULUR: Thank you very much.

12 Dr. Davidson?

13 MS. DAVIDSON: Can you talk a little bit
14 more about where the not less than 94 percent came
15 from?

16 MS. KIEFFER: Yes. It came from the
17 manufacturer specifications. On their list of
18 specifications, on their C of A, what they tested
19 against, that that's their specification that it
20 can't be less than 94 percent.

21 MS. DAVIDSON: That is their own individual
22 specifications?

1 MS. KIEFFER: That is their own individual
2 specifications. And I think you were asking about
3 this earlier. How do we determine if we don't have
4 a monograph? That's a very good question, and I
5 think the manufacturers tend to make specifications
6 for themselves if there isn't a monograph for them
7 to look at.

8 If there's a monograph in another country,
9 often those will be used, but in this case, it's
10 the manufacturer's review.

11 MS. DAVIDSON: Because there is a dietary
12 supplement monograph for green tea extract, and it
13 requires not less than 40 percent of the
14 epigallocatechin. So I was just curious as to what
15 the relationship is between the 94 percent and
16 efficacy and if degradation below that maybe isn't
17 a bad thing. I just was curious as to where the
18 94 percent came from.

19 MS. KIEFFER: It's the pure material, so
20 they're extracting it, and that's what they're --

21 DR. GULUR: Yes. Dr. Bogner?

22 DR. BOGNER: I'm going to go back to that

1 5 percent water because, frequently, the water
2 content is specified, but purity is based on the
3 anhydrous. Right? Once the water has taken off,
4 now, what is the purity of the solid that's left?
5 You seem to indicate that this is including the
6 water.

7 MS. KIEFFER: It's not including the water.
8 When we're doing an assay, as you're stating, it's
9 based on the water being driven off, and that's
10 what is stated. But if it's only for 94 percent,
11 what else is in there? And the impurities are, for
12 this one, water, it looks like.

13 DR. GULUR: Could you clarify that again,
14 because I'm genuinely very confused? So is it the
15 anhydrous? Whatever is anhydrous is 94 percent?

16 MS. KIEFFER: Yes.

17 DR. GULUR: Or are we talking about the
18 whole thing as 94 percent with 6 percent water?

19 MS. KIEFFER: The whole thing is 94 percent
20 active, and then it can contain up to 5 percent
21 water. And when we assay for the activity, we
22 drive off the water first.

1 DR. GULUR: So if you drove off the water,
2 what is the activity that you were left with?

3 MS. KIEFFER: 94 percent.

4 DR. GULUR: So what else is in there?

5 MS. KIEFFER: There's probably chemical
6 intermediates, possibly fragments. Like I said,
7 they do assay for a number of different --.

8 DR. GULUR: So would you agree, then, that,
9 as implied in the FDA presentation, when it's
10 94 percent there could be 6 percent impurities?

11 MS. KIEFFER: Not necessarily because the
12 activity is not necessarily set that way. The
13 activity can fluctuate regardless of whether there
14 is a number of activities or not -- excuse me,
15 impurities or not.

16 DR. GULUR: Thank you. Dr. Desai?

17 DR. DESAI: Just a quick question. We've
18 seen so many studies on this in the presentations.
19 On the wound healing presentation studies that you
20 presented, were any of them human subject studies,
21 any phase 3 data, or phase 2 human studies, or were
22 they all mice? I just can't remember.

1 MS. KIEFFER: The studies at the end were
2 mice. We did talk about one dermatitis study that
3 was in humans.

4 DR. DESAI: And do you remember the cohort
5 size or do you remember if it's large or medium
6 sized by chance?

7 MS. KIEFFER: In the human study, there were
8 two. It was 24 and 49.

9 DR. DESAI: Thank you.

10 DR. GULUR: Dr. Hoag?

11 DR. HOAG: When you say activity, how did
12 you determine that? Is it they use the word
13 international units for vitamins and stuff or is
14 this HPLC?

15 MS. KIEFFER: It's HPLC.

16 DR. GULUR: Dr. Johnson?

17 DR. JOHNSON: Two comments on the radiation
18 dermatitis studies. First, I tried to preempt this
19 just to make it clear what our viewpoint is, but
20 grade 1 dermatitis would not be considered an
21 appropriate model for wound healing. If you were
22 looking at -- and our dermatologic colleagues have

1 provided the Radiation Therapy Oncology Group
2 scoring system. If you were up to level 3 or level
3 4, and level 4 being ulceration, hemorrhage,
4 necrosis, you would be talking about a wound
5 healing model. There were no patients in either
6 one of these studies that reached that level.

7 MS. KIEFFER: Actually, I included those
8 studies, the radiation studies, really to show more
9 dermal safety than wound healing efficacy.

10 DR. GULUR: So would you say there are no
11 human studies on efficacy for wound healing?

12 MS. KIEFFER: Not that I was able to find.

13 Committee Discussion and Vote

14 DR. GULUR: Thank you. Thank you very much.

15 We do not have any open public hearing
16 speakers. The open public hearing portion of this
17 meeting has now concluded and we will no longer
18 take comments from the audience. We will now begin
19 the panel discussion of EGCg. Dr. Braunstein?

20 DR. BRAUNSTEIN: Yes. I just want to
21 confirm that an API can be put on the list with a
22 particular --

1 DR. GULUR: Concentration?

2 DR. BRAUNSTEIN: No, no, no. I was going to
3 say formulation, but really it's a route of
4 administration, is what I was going to say.

5 MS. BORMEL: Yes, you can restrict the route
6 of administration. What you can't do is restrict
7 the usage, because once it's on the list, it could
8 be used for any particular use. But the route of
9 administration, you can include as a restriction on
10 the list.

11 DR. GULUR: Dr. Carome?

12 DR. CAROME: Mike Carome. So we have
13 approved some topical drugs for dermatologic
14 conditions and said we'd like to restrict it to
15 that, but in all those prior cases, there was
16 evidence of efficacy, which we don't have here.

17 DR. GULUR: Yes. I think even the
18 nominators have confirmed there are no human
19 studies of efficacy for wound healing.

20 If there are no further discussion points,
21 we will move on to the vote. Yes, Dr. Jungman?

22 MS. JUNGMAN: Do any of the FDA chemists

1 have more information on reactivity, chemical
2 reactivity of this compound?

3 DR. ZHANG: This is Ben Zhang from OPQ.
4 Just I want to make a few more comments on the
5 stability of this substance. EGCg is very
6 sensitive to oxygen, even as a solid formulation.
7 We only have evidence showing for stable for one
8 week, and then 13 percent degrades after one month.

9 Now, the main reason for degradation is an
10 oxidation reaction. If you know a group will
11 oxidize, and form dimer of EGCg and then acetyl
12 ester [indiscernible], and then you can hydrolyze
13 the increased solutions. Hopefully that will give
14 you information about this reactivity.

15 DR. GULUR: Yes, Dr. Davidson?

16 MS. DAVIDSON: So there is a commercially
17 available product called Veregen that is approved,
18 and it must be stable or you've wouldn't have
19 approved it.

20 DR. JOHNSON: Correct.

21 MS. DAVIDSON: And it must be effective and
22 I know it's not a drug.

1 DR. JOHNSON: It is a drug.

2 MS. DAVIDSON: It is a drug, okay. Is it a
3 botanical drug?

4 DR. JOHNSON: The gel contains 15 percent of
5 a mix called sinecatechins. And the sinecatechins
6 is a proprietary mix. It's just a name that they
7 have. It's 55 percent EGCg.

8 MS. DAVIDSON: But presumably no water.

9 DR. GULUR: Yes, that went away.

10 Any further questions, questions from our
11 members on the phone?

12 (No response.)

13 DR. GULUR: We will then move on to the
14 vote. The question before us is FDA is proposing
15 that EGCg not be included on the 503A bulks list.
16 Should EGCg be placed on the list? If you vote no,
17 you are recommending FDA not place the bulk drug
18 substance on the 503A bulks list. If the substance
19 is not on the list when the final rule is
20 promulgated, compounders may not use the drug for
21 compounding under Section 503A unless it becomes
22 the subject of an applicable USP or NF monograph or

1 component of an FDA-approved drug.

2 If there is no further discussion, we will
3 now begin the voting process. Please press the
4 button firmly on your microphone that corresponds
5 to your vote. You will have approximately
6 15 seconds to vote. After you have made your
7 selection, the light will continue to flash. If
8 you are unsure of your vote, please press the
9 corresponding button again.

10 (Voting.)

11 DR. CHEE: For EGCg, we have zero yeses,
12 13 nos, and zero abstain.

13 DR. GULUR: We will start with the comments
14 from Dr. Carome. You'd like me to choose the other
15 side?

16 (Laughter.)

17 DR. GULUR: That's fair. Dr. Burman?

18 DR. BURMAN: Thank you. Thank you,
19 Dr. Carome. This is Ken Burman. I voted no.
20 Obviously, the major part of the discussion by the
21 FDA related to oral preparations, and it was clear
22 to me that there was significant degradation

1 issues. There was possible toxicity, especially of
2 liver and GI tract.

3 There is no long-term data. And it was not
4 effective in most of the indications used except
5 possibly for type 2 gestational diabetes, where the
6 endpoints at least weren't clearly identified, so
7 I'm not 100 percent sure about that.

8 With regard to, however, the presentation
9 about the nominator, who focused mainly on topical
10 preparation, that may be more useful. But as she
11 herself said, there aren't any clinical human
12 studies. I just raised the issue that may be in
13 their future, if there were clinical studies in
14 humans, it might in fact be beneficial. And that's
15 a topic for a different time.

16 DR. GULUR: Thank you. Dr. Vaida?

17 DR. VAIDA: Allen Vaida. I voted no, and I
18 thought that Dr. Burman stated the facts eloquently
19 there. I probably just want to reiterate, too,
20 with the safety data, it was first presented for
21 topical 1 to 3 months and all the safety data only
22 went up to 4 weeks in the studies that were

1 presented.

2 DR. GULUR: Thank you. Dr. Venitz on the
3 phone?

4 DR. VENITZ: This is Jurgen Venitz. I voted
5 [indiscernible].

6 DR. GULUR: Thank you. Padma Gulur. I
7 voted no, stability, safety to some degree, and the
8 lack of clinical efficacy for the indications that
9 were presented influenced my vote.

10 Dr. Davidson?

11 MS. DAVIDSON: I voted no for all the
12 reasons stated. I think the stability issues
13 obviously can be overcome because there was an
14 approved product for it, but the hepatotoxicity
15 safety signals concern me significantly, And that's
16 why I voted no.

17 MR. HUMPHREY: William Humphrey. I voted no
18 for, again, many of the same reasons, the lack of
19 clinical efficacy in humans and the stability
20 issue.

21 DR. DESAI: Seemal Desai. I won't repeat.
22 The previous speakers have done a great job of

1 going over their reasoning. I will make a comment,
2 however, that from a topical perspective, I think
3 the nominator did a very nice job of presenting the
4 mouse model studies, which are encouraging in data.
5 We just don't have any human data.

6 Given that Veregen and sinecatechins are a
7 product that I use as a dermatologist very
8 frequently, that may be something anecdotally, in
9 listening to some of this data, which could
10 certainly be studied down the road. But what we
11 had here with EGCg just wasn't up to par for the
12 data.

13 DR. PATEL: Kuldip Patel. Just to add to
14 these comments that are already made, we already
15 know the compound was highly unstable under normal
16 storage conditions. And to add other products to
17 that as an add mixture concerned me about how
18 effective that product would be within days, with
19 the current date being given 30 days out.

20 Added to that, impurities was a concern, we
21 talked about a potential interaction with another
22 drug, Velcade, and the lack of long-term data on

1 efficacy in humans.

2 DR. BOGNER: Robin Bogner. I voted no for
3 many of the reasons that were stated. In addition,
4 while we know that stability can be taken care of
5 at least because there is a commercial product,
6 it's not clear that a compounder would have at his
7 or her disposal those same techniques and right off
8 the top of their heads. So that's why I voted no.

9 MS. JUNGMAN: Elizabeth Jungman. I also
10 voted no like most of my colleagues, primarily
11 because of instability, concerns about liver
12 toxicity, and lack of data of effectiveness.

13 DR. HOAG: Steve Hoag. I voted no. I think
14 this, in the future, with better data has potential
15 to be approved or moved forward. But I would just
16 concern, like, the lack of clarification of what is
17 in there. My gut tells me that probably there are
18 some impurities that are making the stability
19 problematic, so that would make me vote no.

20 DR. CAROME: I'm Mike Carome. I voted no
21 for all the reasons stated by other committee
22 members.

1 DR. GULUR: Thank you, Dr. Carome.

2 With that, we are scheduled to take a break
3 at this point. If everyone could return at 3:45 so
4 we can get restarted. Thank you.

5 (Whereupon, at 3:33 p.m., a recess was
6 taken.)

7 DR. GULUR: Welcome back, everyone. We will
8 now proceed with the FDA presentation by
9 Dr. Ganley.

10 FDA Presentation - Charles Ganley

11 DR. GANLEY: I'm going to be talking today
12 about trans-resveratrol. Throughout this talk, it
13 may state resveratrol, but it's going to be
14 referring to trans unless I other identify that.

15 I'm Charlie Ganley. I'm the director at the
16 Office of Drug Evaluation IV in the Office of New
17 Drugs. This is a list of the review team. I just
18 want to acknowledge their work on this. I also
19 acknowledge that there's a lot of people behind the
20 scenes who work on all these presentations and help
21 us with slides, and I want to acknowledge their
22 participation. I also want to acknowledge the time

1 the committee takes out to assist us with this
2 process.

3 Trans-resveratrol is trans-3,4,5-
4 trihydroxystilbene and has been nominated for
5 inclusion on the list of bulk drug substances for
6 use in compounding under Section 503A of the
7 Federal Food, Drug, and Cosmetic Act. The proposed
8 use is for the treatment of impaired glucose
9 tolerance in older adults and also for pain.

10 This was not a nominated use, but we
11 reviewed it, for reasons that will become evident
12 later, because we're aware that it is being used
13 for this use in compounding. The reviewed routes
14 of administration are oral for both of the proposed
15 uses and topical for pain. There were no specific
16 dosage forms or strengths proposed.

17 With regard to the physical and chemical
18 characterization, resveratrol is a naturally
19 occurring polyphenolic phytoalexin. You're
20 probably most familiar with it as being an
21 ingredient in red wine, but it also is present in
22 many different foods that we eat and in different

1 plants. It is a stilbenoid with two well-
2 characterized structural isomers, cis and trans.
3 The trans is more abundant in the bioactive isomer.
4 Trans-resveratrol is slightly soluble in water. It
5 is also more stable when kept away from light.

6 Exposure to light causes acceleration of
7 isomerization between the cis and trans-isomers,
8 the cis- being the less stable isomer. Light-
9 induced degradation of the cis-resveratrol leads to
10 genotoxic impurities.

11 Physical and chemical characterization.
12 Some plants produce small quantities of resveratrol
13 in response to pathogens. Large-scale quantities
14 can be chemically synthesized. The synthesized
15 resveratrol is a mixture of the trans- and cis-
16 isomers in the 7 to 3 ratio, and the two isomers
17 can be isolated with chromatography.

18 As far as general pharmacology, I'll just go
19 over this slide briefly because there are literally
20 hundreds, if not thousands, of articles in the
21 literature that talk about the biologic action
22 potential of trans-resveratrol. All these bullets

1 here are referring to its anti-oxidation or anti-
2 inflammatory effect, although the last bullet is
3 the sirtuin 1. NAD-dependent acetylase is involved
4 in longevity.

5 The one thing I do want to point out is the
6 concentrations that are used in some of these
7 studies, whether they're in vitro or ex vivo, we're
8 talking in micromolar concentrations, and that will
9 become evident later when I talk about the clinical
10 pharmacokinetics in humans.

11 With regard to impaired glucose tolerance,
12 there's mechanistic in vivo animal studies of
13 type 2 diabetic models. It suggests resveratrol in
14 doses of 10 to 100 milligrams orally increases
15 insulin secretion, improves glucose tolerance,
16 improved pancreatic islet structure and function,
17 decreases insulin resistance, and decreases
18 oxidative damage. On the right, there's a
19 schematic that shows these various potential
20 actions and the improvement of insulin secretion.

21 With regard to pain, pharmacology, use of
22 polyphenol such as resveratrol attenuated

1 neuropathic nociceptive pain in animals;
2 supplementation of resveratrol in several in vivo
3 animal models with diabetes, that is, 10 and
4 20 milligrams intraperitoneal injections in rats
5 and 5 to 20 milligrams orally in mice; reduced
6 hyperalgesia, decreased serum, tumor necrosis
7 factor alpha levels, and whole-brain nitric oxide
8 release.

9 A gel formulation containing .025 percent
10 resveratrol-reduced inflammation and edema in an
11 in vivo rat model of pain when measured 1 to
12 4 hours post-injury.

13 The non-clinical pharmacokinetics
14 resveratrol is detectible in plasma within
15 15 minutes of oral administration and reaches peak
16 concentrations within 30. Elimination half-life is
17 8 to 12 hours. The highest distribution is found
18 in the liver, followed by the pituitary muscle,
19 stomach, intestines, and optic nerve.

20 Trans-resveratrol undergoes extensive
21 conjugation during its metabolism, it does not
22 accumulate over time, and it is largely eliminated

1 in the feces. Similar mode of metabolic profiles
2 were reported for topical and/or oral routes of
3 administration.

4 So with regard to the human clinical
5 pharmacokinetics, there are many studies out there
6 that evaluated the pharmacokinetics of
7 trans-resveratrol, and consistently throughout the
8 literature, it suggests that it's highly absorbed
9 based on carbon-14-labeled drug, but has a low
10 absolute bioavailability after oral administration,
11 primarily due to extensive first-pass metabolism
12 either through the liver or possibly in the gut
13 through bacterial degradation.

14 I've just listed 2 PK studies here as
15 examples. In the first study, a single-dose study
16 of 500 milligrams, a gram, or 2 and a half grams,
17 or 5 grams, I want to point out here that, in a
18 liter of red wine, generally the amount of
19 resveratrol is less than 10 milligrams. The
20 highest amount that has been detected in red wine
21 is 14 milligrams. Many of them are much less than
22 that, maybe around 2, 3, or 4 milligrams. So even

1 in a glass of red wine, you may be lucky to get a
2 milligram of resveratrol.

3 So you see here that the dose being used is
4 rather high relative to what we would see in foods.
5 And if you go back to the non-clinical data -- and
6 you can remember that I mentioned a lot of these
7 studies were using doses that were in the
8 micromolar range.

9 So the Cmax values in this particular study
10 ranged from 73 nanograms per mL for the lowest dose
11 to 539 nanograms per mL with the highest dose.
12 Now, 73 nanograms per mL is approximately
13 0.3 micromolar. So just keep that in mind. The
14 Tmax range from 0.8 to 1.5.

15 In this study, 6 metabolites were
16 identified, either sulfates or glucuronides, so
17 they were generally primarily phase 2 metabolites.
18 And this is consistent throughout the literature.
19 It may not be 6; it may be less than that. The
20 half-life ranged from 3 to 8 hours.

21 In a multi-dose study of 25 milligrams to
22 150 milligrams every 4 hours, for 13 doses, the

1 Cmax ranged from 1.4 nanograms per mL to
2 approximately 25 nanograms per mL. So 1.4
3 nanograms per mL is probably about 0.01 micromolar.

4 After the 13th, the Cmax was approximately
5 6.9 up to 64 nanograms per mL. The volume and
6 distribution is approximately 1.8 liters per
7 kilogram, which suggests that it gets into the
8 tissues. And consistently throughout the
9 literature, it's noted that the circulating human
10 blood levels are lower than resveratrol
11 concentrations found to be active in vitro and
12 ex vivo.

13 That's in part one of the reasons I think
14 the larger doses are given. But you have to keep
15 in mind also that the human blood level is in
16 equilibrium with tissue levels, and it's not always
17 reflective of what the tissue levels will be. So
18 you actually may have higher tissue levels than
19 you're seeing in the blood.

20 In a separate multi-dose small study of
21 12 subjects, there was no difference in PK between
22 young and older subjects or males and females. But

1 that was at least 6 in each group based on age and
2 on sex.

3 The application of resveratrol,
4 approximately 50 micrograms per square sonometer
5 for 24 hours to ventral forearms in 6 women without
6 any skins disorders showed high variability and
7 absorption by tape-stripping method. They didn't
8 detect, and we wouldn't necessarily expect them to
9 have detectible levels in the blood. Most of the
10 applied product remained in the stratum corneum
11 layers of the skin.

12 Orally administered resveratrol, 1 gram per
13 day for 4 weeks inhibited cytochrome 450 enzymes,
14 3A4, 2D6, and 2C9. So for drugs metabolized by the
15 cytochrome 450 enzymes, concomitant resveratrol may
16 lead to increased blood levels and longer
17 elimination half-life.

18 The other thing I'll just point out with
19 that, it's not clear at what dose you would not see
20 that potential interaction. Not all cytochromes
21 have been evaluated in this type of study.

22 With regard to non-clinical safety,

1 resveratrol was non-irritating to skin and eyes,
2 and it was non-sensitizing when topically applied
3 in animal models. Toxicities were dosed and
4 formulation related. Some studies reported no
5 toxic effects. Other studies reported adverse
6 clinical signs, dose-related increase, and
7 therefore toxicity were noted in several species in
8 four 13-week and 6-week toxicity studies.

9 Gastrointestinal, specifically diarrhea and
10 loose stools and urinary bladder epithelial
11 hyperplasia effects were reported in some
12 resveratrol formulations.

13 Trans-resveratrol was non-mutagenic in
14 several Ames' assays; positive clastogenic activity
15 in a chromosomal aberration test in human
16 lymphocytes, both in the presence or absence of
17 metabolic activation and negative genotoxic
18 activity in the in vivo bone marrow, micronucleus
19 test in rats.

20 Other non-clinical safety, developmental,
21 and reproductive toxicity, resveratrol binds to
22 estrogen receptor. It's a phytoestrogen. There's

1 no in vivo adverse events reported -- no adverse
2 reproductive or fetal effects were seen in
3 embryofetal toxicity studies in rats.

4 As far as carcinogenicity, resveratrol was
5 not associated with an increase in benign or
6 malignant tumors in a 6-month transgenic mouse
7 model study. Dose-related increase in death likely
8 to accumulation of resveratrol at very high doses
9 in the GI tract.

10 With regard to adverse events, you've heard
11 the FAERS data and what that is. There were 7
12 cases found. None described the use of resveratrol
13 as part of a compounded product, listed the adverse
14 events reported there. It was difficult to assess
15 causality because of a lack of information or
16 confounding by disease in the use of multiple
17 concomitant medications or supplements.

18 I'll just point out that two of the adverse
19 events, one was persistent vomiting and diarrhea,
20 which is not necessarily inconsistent with the side
21 effects that you see in the literature related to
22 higher doses of resveratrol.

1 The case of gynecomastia involved a 15-year-
2 old male taking risperidone and resveratrol. The
3 dose of resveratrol was not provided. It's simply
4 stated it was 4 times per day. Risperidone alone
5 can cause gynecomastia, but risperidone is
6 metabolized by cytochrome 2C9, and resveratrol
7 inhibits cytochrome QC9. But there's really
8 insufficient information for us, other than to note
9 that coincidence there of a possible drug-drug
10 interaction.

11 With regard to the CAERS data, there were
12 377 reports identified. In most of these cases,
13 multiple dietary supplements were being ingested.
14 Some cases suggest a role for resveratrol in the
15 adverse events. Many of these cases were serious
16 in nature. In a lot of them, the number of
17 ingredients that the individuals may be taking was
18 50 or more. So it's really difficult to make any
19 conclusions about the causality related to
20 resveratrol.

21 Many of the studies are short-term studies
22 lasting several days, several weeks, or months, so

1 the acute adverse events are primarily mild to
2 moderate gastrointestinal symptoms, including
3 diarrhea, abdominal pain, flatulence, nausea, and
4 heartburn.

5 There was a phase 2 trial in myeloma
6 patients that reported nausea, diarrhea, vomiting,
7 fatigue, and renal failure in five of the
8 individuals. They were receiving 5 grams per day
9 on cycles of 20 days. So these five cases actually
10 may have been precipitated by dehydration from the
11 gastrointestinal effects of resveratrol.

12 Actually, these renal failure cases prompted
13 the investigators to stop using it in that
14 population because they are at risk simply from the
15 multiple myeloma to develop renal failure, and this
16 may have thrown him into it.

17 There have been multiple studies of non-
18 alcoholic fatty liver disease, and there were also
19 increased stools, and there was mildly increased
20 alanine and aspartate aminotransferases. In this
21 particular study, it was simply a doubling of
22 those. Other studies have not necessarily

1 identified liver toxicity.

2 The Susan G. Komen organization recommends
3 that resveratrol supplementation should be avoided
4 in women with hormone-sensitive conditions,
5 specifically breast, uterine, ovarian cancer,
6 endometriosis, and uterine fibroids because of its
7 phytoestrogenic effect. And again, I want to be
8 accurate here. It says resveratrol
9 supplementation. It doesn't say eliminate foods
10 that may have minor amounts of resveratrol in it.

11 The one thing that's not here is long-term
12 safety. The long-term safety of resveratrol for
13 different diseases has not been adequately studied.
14 Resveratrol can elicit a biphasic dose response in
15 different models such that one dose may appear to
16 be beneficial, but a higher or lower dose is
17 detrimental.

18 In a review by Calabrese from 2010, he noted
19 that these biphasic responses were reported for
20 numerous human tumor cell lines affecting breast,
21 prostate, colon, lung, uterine, and leukemia. In
22 such cases, low concentration of resveratrol

1 enhanced tumor proliferation, whereas higher
2 concentrations were inhibitory.

3 Biphasic dose responses were also reported
4 in animal models for the cardiovascular-induced
5 injury, gastric lesions, ischemic stroke,
6 Alzheimer's disease, and osteoporosis.

7 There was often a protective effect at a low
8 dose, but an adverse effect at higher doses,
9 exacerbating the disease process. Many of the
10 effects adduced by resveratrol are dependent on
11 dose, and the opposite effects occur at low and
12 high doses.

13 I just point this out because specifically
14 for the impaired glucose tolerance, that's a
15 disease that the individuals are at risk obviously
16 for developing diabetes, but they also are at risk
17 for developing cardiovascular disease and things
18 like that. So simply because resveratrol is
19 available in your food, for some of the doses that
20 we're getting into here, where we're talking about
21 gram quantities or dose, it could be detrimental
22 long term. And that information can only be

1 obtained in long-term studies.

2 As far as the safety conclusion, animal
3 models show that the kidney, and gastrointestinal,
4 and urinary bladder as target organs of toxicity.
5 Again, in human acute studies, there were
6 symptomatic adverse events that were primarily
7 gastrointestinal, and in some cases at higher dose
8 can be fairly severe, and in some populations, it
9 can be extremely detrimental.

10 In clinical adverse event reports,
11 concomitant therapies and/or underlying diseases
12 make it difficult to make any conclusion about
13 attribution to resveratrol.

14 The effectiveness in impaired glucose
15 tolerance is not a recognized disease, at least
16 based in discussions with the endocrine division in
17 the Office of New Drugs. It a risk marker for
18 future diabetes. Delaying the onset of diabetes in
19 patients with IGT has not been shown to offer any
20 micro- or macrovascular benefits to patients in
21 long-term randomized controlled trials.

22 There was one study that we found where

1 trans-resveratrol was used in the treatment of
2 impaired glucose tolerance. It was done in
3 10 patients who were over 64 years of age. The
4 doses of resveratrol were very divided into 1,
5 1 and a half, or 2 grams per day.

6 The results suggested there was no change in
7 fasting sugar. The decrease in peak and post-meal
8 glucose and 3-hour glucose AUC was noted. There
9 was a decrease in post-meal insulin levels, and
10 insulin sensitivity improved in 1 of 2 scales.
11 Insulin secretion and disposition index did not
12 change significantly.

13 It's important to note that the authors in
14 their conclusion stated that subtle changes in diet
15 and exercise could have contributed to the observed
16 effect. These were not controlled for in this
17 study.

18 With regard to pain, there were no clinical
19 trials, either by oral or topical routes of
20 administration, that we identified where
21 resveratrol alone was used for the treatment of
22 pain.

1 We did find one study where resveratrol was
2 used in conjunction with contraceptive medications.
3 It was a Brazilian study, uncontrolled, open label.
4 Patients were initially treated with drospirenone
5 and ethinylestradiol for 6 months. Resveratrol
6 30 milligrams was added at 6 months because
7 patients were not completely pain free.

8 Pain was significantly relieved with the
9 contraceptives, using a categorical pain scale of
10 0 to 3. After 2 months of resveratrol treatment,
11 there were significant improvements in pain scores.

12 Again, this is a small open-label
13 uncontrolled study using an unvalidated pain scale
14 that does not support clinical effectiveness. In
15 these types of situations, it is important to have
16 a control arm simply because the women in this
17 study may have continued to improve simply on
18 continuing the contraceptives. So they were taking
19 contraceptives and resveratrol during the
20 improvement.

21 The conclusion with regard to effectiveness,
22 impaired glucose tolerance is a risk marker for the

1 development of diabetes. The benefit of treatment
2 of IGT is unclear, for the American Diabetic
3 Association notes that for impaired glucose
4 tolerance, the mainstay of treatment is an
5 intensive behavioral lifestyle intervention program
6 to achieve and maintain at least 7 percent weight
7 loss within the first 6 months of intervention and
8 increase physical activity to at least 150 minutes
9 per week.

10 We found only one study evaluating the
11 effect of resveratrol in the treatment of impaired
12 glucose tolerance, but is insufficient to support
13 effectiveness. Resveratrol has not been adequately
14 studied for the treatment of pain.

15 With regard to the historical use in
16 compounding, resveratrol was first identified from
17 the roots of white hellebore in 1940. It is
18 available as a dietary supplement. There is really
19 insufficient information available about how long
20 resveratrol has been used in pharmacy and
21 compounding.

22 The last item here was what prompted us to

1 look at pain because we became aware of this
2 information. This was a letter from Congressman
3 Scott and Cummings in a response to a notice in the
4 Federal Register that was really directed to what
5 involved prescriptions being written and paid for
6 by the Department of Labor for individuals that
7 were on workman's comp.

8 This is a quote from the letter, "The Postal
9 Inspector General provided data which show payments
10 for over 5,000 prescriptions for resveratrol
11 totaling more than \$16 million." And I also note
12 that resveratrol is a dietary supplement, which has
13 been prescribed for use in compounded drug creams
14 for back pain.

15 So if you can do the math there quickly,
16 16 million divided by 5,000 is around \$3200. The
17 letter did also note that in some cases over
18 \$32,000 was paid for an individual prescription.

19 In summary, trans-resveratrol is well
20 characterized. The acute safety concerns are
21 primarily related to gastrointestinal adverse
22 effects observed in clinical studies and possible

1 drug interactions related to inhibition of
2 cytochrome P450 enzymes.

3 The non-clinical data suggests the kidney,
4 gastrointestinal tract, urinary bladder to be
5 target organs of toxicity. Clinical effectiveness
6 has not been established. There is limited data in
7 patients with impaired glucose tolerance in pain.
8 There is poor absolute bioavailability due to
9 extensive gut and liver metabolism.

10 The history of compounding is limited, and
11 it's been available as a dietary supplement. And
12 just again to note, the long-term safety of use in
13 these products for serious conditions has not been
14 established. The recommendation is a balancing in
15 the four evaluation criteria weighs against
16 resveratrol from being added to the list of bulk
17 drug substances that can be used in compounding
18 under Section 503A of the FD&C Act. Thank you.

19 Clarifying Questions from the Committee

20 DR. GULUR: Thank you, Dr. Ganley. We will
21 now take clarifying comments and questions.

22 Dr. Vaida?

1 DR. VAIDA: I'm just trying to follow a
2 history here. This was nominated for various
3 indications, and then it came back for just glucose
4 intolerance, which I guess was oral. But then you
5 did a study on glucose intolerance and pain, but
6 you found that its real use right now is topical
7 for either pain or aging skin.

8 So even with the oral for glucose
9 intolerance, you didn't find anything that
10 supported that, and it doesn't even look like it
11 may be used for that.

12 DR. GANLEY: Well again, we don't have a lot
13 of data on how these products are being used. We
14 are aware of it being used for pain simply because
15 of what was in the public domain here with regard
16 to that letter. We were not aware of that.

17 I actually don't know how it's being used
18 orally. This is what it was nominated for. The
19 nominator can provide information on what it's
20 being potentially used for.

21 You mentioned anti-aging. If you go on the
22 internet, you can find products that contain

1 resveratrol, and they generally have cosmetic-type
2 claims, either anti-aging or improving the
3 appearance of your skin. Some have used the term
4 "anti-wrinkles," although I'm not sure that's
5 allowed under the cosmetics standard.

6 DR. VAIDA: Thank you.

7 DR. GULUR: Dr. Jungman?

8 MS. JUNGMAN: That actually gets to my
9 question, which is the briefing materials note that
10 it's sold as a dietary supplement, and it says
11 capsules, tablets, powders, and cream formulations.
12 And it was my understanding that you couldn't have
13 a dietary supplement that wasn't adjustable.

14 So the currently available topical
15 formulations that are not compounded, what are
16 those? Cosmetics?

17 DR. GANLEY: I believe they're cosmetics.
18 If you go online and do a search for resveratrol in
19 a topic, you'll pull up products. It doesn't
20 specifically state what the concentration is, but
21 it has various cosmetic type uses for it. So it's
22 not a dietary supplement, but it could be marketed

1 under the cosmetic regulations.

2 MS. JUNGMAN: I'll admit knowing almost
3 nothing about the cosmetic regulations. I know
4 there's no pre-approval. Is FDA looking at those
5 at all, or what does it mean that it's a cosmetic?

6 DR. GANLEY: They don't look at the safety
7 of those.

8 DR. GULUR: Dr. Ganley, I do have a question
9 with regard to -- and I apologize if I missed that
10 somewhere here, in the reproductive toxicity. And
11 we've basically seemed to indicate that, at least
12 in my studies, there wasn't any real risk to the
13 fetus per se.

14 Did I understand that correctly, or there is
15 risk to the fetus?

16 DR. GANLEY: Yes. I'm going to let
17 Dr. Harrouk answer that. We did try to look at
18 that specifically because this is a similar
19 structure to diethylstilbestrol, so she can address
20 that. But I think the issue with regard to the
21 Susan G. Komen Foundation has to do with a
22 phytoestrogen effect.

1 DR. GULUR: I'm specifically also
2 referencing the paper, a pregnant primate study
3 that was done, that actually showed that the fetus
4 had a 42 percent increase in their pancreas size.
5 I'm just wondering about the impact of that on
6 glucose tolerance.

7 DR. HARROUK: Hi. My name is Wafa Harrouk.
8 I did the pharmacology on resveratrol. So as
9 Dr. Ganley mentioned, because of the receptor
10 similarity to another product, which is DES,
11 obviously the researchers were interested in
12 knowing whether it has any reproductive toxicity
13 effects.

14 So there were studies that were conducted
15 in vitro, and resveratrol does bind to the estrogen
16 receptor. It's an estrogen receptor agonist.
17 There were other studies that were done on estrogen
18 receptor responsive cell lines, and it binded
19 there, so, in vitro, there were effects.

20 Now, when they went into the actual embryo
21 fetal studies, they didn't have really much of an
22 effect. In terms of -- they did

1 embryofetal [indiscernible], and it was kind of
2 clean.

3 So the only thing were the in vitro findings
4 that were positive. However, we don't have a lot
5 of information about the studies. Usually, the
6 studies have a lot more information, and these were
7 reported in a review, so we didn't have access to
8 the individual data points.

9 So there could be some effects. We just
10 don't have the full picture. But on the surface,
11 in a review article, it says there were no effects.

12 DR. GULUR: Could you clarify for me this
13 particular study, which I didn't see mentioned
14 much? Basically, it's out of Oregon, and it's a
15 2014, I believe, article, where it's pregnant
16 non-human primates, Japanese monkeys, basically,
17 that they did this study on. And they found some
18 beneficial effects to the mother, but the fetus had
19 a 42 percent increase in the size of the pancreas,
20 which sounds very concerning to me, especially
21 because the beta-to-alpha cell ratio changed, and
22 there were much fewer alpha cells there. And I'm

1 just thinking of what implication that has as we
2 are considering glucose tolerance here.

3 DR. HARROUK: Right. Again, this could be
4 taken into account. We have to figure out the dose
5 that was used also, and response to the effect. So
6 I'll have to see the doses the mothers were exposed
7 that induced this effect in their fetuses to really
8 make a safety risk assessment kind of call on it.

9 But overall, there weren't a lot of studies
10 that we could find that we can say, okay, the
11 liver, or the pancreas, or whatever is a targeted
12 organ in fetuses.

13 DR. GULUR: The primates were given pretty
14 much constant infusions of resveratrol through
15 their diet, really, added on continuously.

16 DR. HARROUK: During the pregnancy.

17 DR. GULUR: I would appreciate it if you
18 could look at it and comment as well if you could.

19 DR. HARROUK: Okay.

20 DR. GULUR: Any other questions, clarifying
21 questions? Dr. Patel?

22 DR. PATEL: Yes, I had a question regarding

1 the dose-response relationship and whether there is
2 any information about prediction on what dose gives
3 what kind of response, especially when you are
4 talking about a biphasic dose-response
5 relationship.

6 DR. GANLEY: Those are generally in vitro or
7 cell models, so you still are getting up into the
8 micromolar ranges. The difficulty is, when you
9 look at these studies and then you're trying to
10 relate them to humans, it's difficult to relate.

11 In this Calabrese study, it was actually the
12 lower micromolar doses that stimulated tumor cells,
13 but once you got it into higher doses,
14 100 micromolar, 200 micromolar, which is fairly
15 high, you saw a decrease in the tumor cell
16 stimulation, or there was no stimulation. It was
17 inhibition.

18 So that's where it's important you have to
19 understand the long term -- what is the
20 concentration of the tissue relative to the blood.
21 And again, they've gone to higher doses here, I
22 think largely in part because seeing the micromolar

1 doses that were needed to elicit a biological
2 activity and then seeing decreased absolute
3 bioavailability in humans. That's how you end up
4 with doses, a single dose per day, that's
5 equivalent to drinking 50 bottles of red wine or
6 something in a day.

7 But it's evident -- even though there's been
8 a lot of research done in the last 30 years,
9 there's an enormous amount that's not known. I
10 think the long-term safety is one of the issues,
11 particularly if you're getting into the treatment
12 of potentially serious diseases or populations that
13 are at risk for serious disease.

14 DR. HARROUK: Dr. Gulur, can I follow up on
15 the conversation?

16 DR. GULUR: Yes, please.

17 DR. HARROUK: So the study that you were
18 discussing, can you point me to where you're
19 getting the data from?

20 DR. GULUR: That's, yes, the FASEB Journal.

21 DR. HARROUK: So you pulled it off the
22 internet?

1 DR. GULUR: Yes. You can search it.

2 DR. HARROUK: Yes. I was just wondering
3 whether it was something that was submitted later
4 on or not.

5 DR. GULUR: No.

6 DR. HARROUK: The studies that we reviewed
7 were on two separate formulations, and that's what
8 I wanted to say when I was standing there.
9 Depending on the formulation, there's been two
10 groups of researchers, some that reported no
11 adverse events whatsoever and another group that
12 reported some fetal events. But those were done in
13 the rat in both cases.

14 The ones that did say there were adverse
15 clinical effects, they didn't say what they were
16 because the data were summarized in a review
17 article by Iled [ph].

18 DR. GANLEY: We can pull the article up.

19 DR. GULUR: I'm happy to share that with
20 you.

21 DR. HARROUK: Yes.

22 DR. GULUR: It's out of Oregon National

1 Primate Research Center, and it's basically been
2 done on Japanese monkeys, as I said. I'll be happy
3 to pass that link around.

4 DR. HARROUK: Yes. I'll be happy to look at
5 it. Thank you.

6 DR. GULUR: Any other questions?

7 (No response.)

8 DR. GULUR: Questions from our members on
9 the phone?

10 (No response.)

11 DR. GULUR: We can have you come back to
12 that during the discussion if that would be okay.

13 Thank you, Dr. Ganley.

14 We have one nominator presentation by
15 Dr. Jeffrey Johnson.

16 Nominator Presentation - Jeffery Johnson

17 COL JOHNSON: Thank you, ma'am.

18 Again, I'm Colonel Air Force Retired Jeffrey
19 A. Johnson. As you can see from there, I am a
20 pharmacist, and I am also a naturopath, so that's
21 kind of an interesting thing to sit here and listen
22 to some of this.

1 To answer a couple of questions, someone was
2 asking about some of the compounds as far as
3 topicals. There is an interesting website called
4 Into the Gloss.

5 The person that's writing this, I don't know
6 what their documentation is, but they said there
7 was a study called SkinCeuticals Resveratrol B, and
8 it says, "Improve skin elasticity, firmness, and
9 radiance," but it doesn't go into the evidence of
10 it.

11 It does give some interesting names of the
12 compound, Vine Vera Resveratrol Pinot Noir;
13 100 percent Pure Red Wine Resveratrol Scrub Mask
14 Luminous Primer; CorDel Wine [ph] Expert. I think
15 it's supposed to be vine expert, but I'm just
16 trying to sound like I'm French; Firming Serum
17 Radiance Day Cream; SPF 15 Eye and Lip Serum. And
18 then I thought this one was interesting, Bite
19 Beauty High Pigment serum; and then Sunday Riley
20 Bionic Anti-Aging Cream.

21 Okay. Enough of that. So I'm up here to
22 talk about resveratrol. I am representing two

1 groups today. And just to give my disclaimer of
2 what I am, I am a paid consultant by MEDISCA.

3 I'm also speaking on behalf of the National
4 Community Pharmacists Association. If you don't
5 know about the NCPA, they represent 22,000
6 independent pharmacies across the country. That's
7 an \$80 billion a year healthcare market, and
8 88 percent of their pharmacies that they represent
9 do some form of compounding. So that's one reason
10 I think that's important to be aware of.

11 This nomination came up on September 30,
12 2014. As we've been talking about, it's
13 resveratrol. Dr. Ganley, thank you very much for
14 your excellent presentation. And I'm not going to
15 read all this to you. You can see the description
16 of the strength, quality, stability, and purity.
17 We saw that as he was discussing that.

18 The PCCA database has a really good MSDS on
19 it as well. It is very chemically stable. Both
20 air and heat sensitivity was mentioned, that there
21 is some light oxidation that we have to be aware
22 of, and the ingredient format is in powder form.

1 It is recognized in pharmacopeias. I did
2 find that the USP had proposed a monograph back in
3 2015, but I can't find if that was ever voted upon
4 to make it an official USP pharmacopeia. And it is
5 sold OTC in the United States.

6 Here was some of our biographies on safety
7 and efficacy. Again, I won't read through those;
8 you can see those on the screen, and we can come
9 back to that.

10 The anti-oxidant is what we are looking for,
11 also anti-inflammatory. It is a natural compound
12 found in more than 70 plant species, including
13 nuts, grapes, and pine trees. And as Dr. Ganley
14 mentioned, you would have to drink a lot of wine,
15 so I am going to have a glass of merlot tonight
16 when I get home, but just one.

17 It is thought to play a role in preventing
18 heart disease as a plant source, and it is a
19 natural polyphenol derived from the root of the
20 Japanese knotweed. It was actually discovered in
21 1940 and has been used in traditional Chinese
22 medicine and oriental medicine since that time.

1 And again, looks like that combination formula is
2 designed to help maintain protection against free
3 radical oxidated damage to tissues, and again,
4 anti-inflammatory.

5 Here were just some clarifications that the
6 FDA had asked for us, again, going through that.

7 One of the things I want to point out -- and
8 Dr. Ganley mentioned the uses that we had submitted
9 back in 2014 -- I just kind of want to go over a
10 little bit more, though, what some of those other
11 additional uses are.

12 It does have anti-inflammatory properties.
13 It's antioxidant. You've already heard about the
14 anti-aging thoughts from the dermatology part. It
15 does seem to indicate that there are some studies
16 that show lowering the LDL, cardiovascular
17 protection. It has been used in some studies with
18 cancer, in Alzheimer's disease, diabetes, and
19 weight management.

20 So I apologize for the small print. I'm
21 going to try and give you the summation of what
22 these studies said. The one we've got up there

1 right now is from Neurology 2015.

2 I'm sorry. As you can tell, I'm over 60 now
3 and I probably need to be using more resveratrol so
4 my eyes get better.

5 So this one is the randomized double-blind
6 placebo for resveratrol in Alzheimer's. It was by
7 Turner and Company. It had an N of 119. The most
8 common side effect they had at this point was
9 nausea, diarrhea, and weight loss. Overall, the
10 study shows that it was fairly safe and well
11 tolerated. It did cross the blood-brain barrier
12 very, very well, and it did seem to have some
13 positive effects on altering an Alzheimer disease
14 biomarker trajectories.

15 So bottom line up front, we felt like
16 that -- or at least the studies showed that there
17 was some promise in the Alzheimer's therapy.

18 Again, as you find with dietary supplements
19 and with nutraceuticals, a lot of the problem we
20 have is there really hasn't been enough good, solid
21 clinical studies done, and that's one of the things
22 that you see, that we do need to encourage that

1 research.

2 The next one is from the British Journal of
3 Nutrition back in September of '14, and this one
4 was the effect of resveratrol on cardiovascular
5 risk in non-alcoholic fatty liver disease. This
6 was by the folks you can see there at the top of
7 the screen.

8 It had an N of 50, and the bottom line up
9 here was there didn't seem to be any significant
10 changes in the blood pressure, nor in the insulin
11 resistance, or tag. It did reduce the level of
12 ALT, and also there was a reduction in hepatic
13 steatosis.

14 Our next one was from the Archive of
15 Medicine Research, and this one was back in May of
16 '15, the anti-inflammatory effects of resveratrol
17 on ulcerative colitis. The review here was the use
18 of resveratrol as an anti-inflammatory and
19 antioxidant. The N was 50, and there was
20 significant positive reduction in the plasma levels
21 of T and F and of hsCRP. Also, it seemed to have a
22 positive effect on the activity of the NFkB.

1 The bottom line up front for this one was
2 that resveratrol seemed to improve the quality of
3 life and the diagnosis of the ulcerative colitis
4 activity through the reduction of the inflammation.

5 The next one, this is the Experimental
6 Gerontol [ph] from 2014, and this was the safety
7 and metabolic outcomes of resveratrol
8 supplementation in older adults. Anton was the
9 primary researcher on this. The N on this one was
10 only 32, but it was a triple-blind study, and they
11 broke it into three arms. There was the placebo
12 arm. There was one at 300 milligrams per day and
13 1,000 milligrams per day.

14 One of the things that Dr. Ganley pointed
15 out that I think is very pertinent is the fact that
16 the dosing range on these ranged anywhere from
17 10 milligrams a day up to 5 grams a day. And some
18 of the places they saw some of the toxicities
19 really start to happen was when they got over the
20 level of 2500 to 5,000 milligrams a day. That's
21 where the toxicity seemed to really hit. If they
22 stayed below that 1500 level per day, it seemed to

1 not have as much impact along those lines.

2 On this one, we were seeing that the blood
3 glucose was significantly lower in the resveratrol
4 group and that it was well tolerated. Again, there
5 was improved cardiometabolic health overall in both
6 the arms that were taking resveratrol, and there
7 was positive support and use. And again, the
8 writers encouraged there be larger studies.

9 Our next one was from Cardiovascular Drug
10 Therapy in 2013, and this was where they really
11 took a hard look at the great resveratrol and how
12 it increased the serum adiponectin, decreasing
13 regulatory inflammatory genes. This was Tome and
14 his crew.

15 The N on this group was 75. This was,
16 again, a triple-blind study, which I found very
17 interesting to read. The results was there were
18 changes in the circulating inflammatory and
19 fibrinolytic genes were analyzed. And it really
20 just showed that the transcription profiling and
21 inflammation genes were decreased and actually
22 good.

1 The bluff or the bottom line up front is
2 that it did increase the anti-inflammatory effect.
3 It did decrease the thrombogenic plasma and
4 activity, and the daily use seemed to show positive
5 cardiovascular protection.

6 Our last study that we're going to look at
7 is from Current Medical Chemicals of 2013, and this
8 was the anti-inflammatory antioxidant effects of
9 resveratrol in healthy smokers. The N on this one
10 was 50, and they blocked it into 25 and 25. There
11 were 25 using resveratrol and 25 not.

12 The bottom line up front for this research
13 was that the resveratrol seemed to significantly
14 reduce the CRP. It also significantly reduced the
15 triglyceride concentration, and it increased the
16 total antioxidant status of the patient. And the
17 conclusion was that it seemed to reflect positive
18 anti-inflammatory and antioxidant effects within
19 the smoker, helping the smoker overall.

20 We wanted to also share Dr. Luis Martinez-
21 Rivera, who is a regenerative medicine, cell, and
22 gene therapy physician. He was going to be with

1 us. Unfortunately, he couldn't clear his patient
2 schedule to join us today, but he sent us some
3 quotes that I think are very, very important. He
4 has been using resveratrol in his practice, so I'll
5 read these off for you.

6 "For resveratrol, I've been using it for
7 over seven years. Although starting doses are
8 usually in my experience 100 to 200 milligrams, I
9 have found that 500 to 1,000 milligrams are usually
10 needed to achieve measurable results.

11 "I have used resveratrol mostly to aid in
12 reducing inflammation, for example as related to
13 arthritis and to help with cardiometabolic
14 disturbances. As an example of the higher doses, I
15 could observe reductions in CRP, improvements in
16 glycemia, and also in blood pressure.

17 "Patients on resveratrol usually can cope
18 better with exercise regimes and they feel more
19 energized. My dosing approach to resveratrol is
20 that of a sliding scale, where I would titrate up
21 to 1,000 milligrams daily to achieve results.

22 "My experience is that resveratrol was

1 usually well tolerated. The most common side
2 effects I've observed has been headaches and
3 diarrhea, particularly with the higher doses.

4 "I consider that resveratrol has sufficient
5 placebo-controlled studies for the FDA to consider
6 allowing it to remain as an ingredient for
7 compounders."

8 One of the things I wanted to kind of hammer
9 home -- and we talked about this throughout the
10 day -- is looking at the dietary supplements that
11 are on the shelf, that we can just go in and buy at
12 GNC or wherever versus the compounded pharmacy
13 versions of these products, and basically, there
14 are four things I think we need to keep in mind.

15 First off is the purity. As we've talked
16 about, with a certificate of analysis that we get
17 as a compounding pharmacist from our suppliers,
18 whoever it may be, we're guaranteed -- and I know
19 we've heard of one instance today that that didn't
20 happen, but that will occasionally, but it's very,
21 very much not the norm. The norm is when we get
22 the certificate of analysis, we can depend on it,

1 so the purity is there.

2 We also know that we are the ones
3 compounding it. I'm not worried about whoever it
4 is that's making it down the street. I know what
5 I'm doing.

6 We've also heard that there are standard
7 operating procedures, that we make sure that we
8 take a batch every so often to send it out for
9 analysis to ensure that it is exactly what we said.
10 That goes along with a strength assurance because
11 we're the ones doing the compounding. So we know
12 what we put into the product, which gives us
13 superior quality versus what we know we have on
14 some of the shelves where we may not have that
15 quality in some of the products we're buying.

16 Then there's professional support by the
17 compounding pharmacists and their staff as well,
18 which we provide both to provider and patient.
19 We've been talking about the triad, or the triad,
20 or the triangle, or the stool of the provider, the
21 pharmacist, and the patient. And I think that's
22 just critical for us to remember, that we're doing

1 that.

2 I gave you some additional studies. Again,
3 I'm not going to read through these. It's just
4 that I wanted you to see that there were some other
5 ones. And the key one I wanted to point out is the
6 one at the very, very bottom, which is the
7 therapeutic potential of resveratrol, the in vivo
8 evidence by Baur and Sinclair.

9 What was interesting with them was, at the
10 end of their study, they also referred to an
11 additional 248 published studies in support of
12 resveratrol in a variety of different ways. So I
13 just think those are interesting to look at.

14 A couple of other last comments I just
15 wanted to make, I did make about the USP. I
16 mentioned the toxic doses, that when you get to
17 those higher levels, that's part of the problem.
18 The other thing with a compounding pharmacy we need
19 to keep in mind is that this is the individualized,
20 personalized therapy that we can provide our
21 patients; that when the doc calls us, the provider
22 calls us, we're able to both counsel with the

1 provider and find out exactly where he or she is
2 wanting to go in treating that patient.

3 It's not that we're going to market that
4 necessarily to the provider, but if he or she calls
5 and asks me, "Jeff, what can I do? Where can we go
6 with this? This is my patient. What do you
7 think," we can work together to try to achieve
8 that. And I think that's one thing that you don't
9 get when you say to the patient, "Just go buy it
10 off the shelf and see what happens."

11 With that, I will open that up for
12 discussion.

13 Clarifying Questions from the Committee

14 DR. GULUR: Thank you very much. We will
15 take clarifying questions at this time from the
16 committee. Dr. Ganley?

17 DR. GANLEY: Yes. I just wanted to point
18 one thing out, and it had to do with, I think,
19 slide 6 of the presentation. That was the
20 randomized, double-blind, placebo-controlled trial
21 in Alzheimer's disease. It gets to the point that
22 I've raised with regard to long-term concern about

1 safety.

2 In this study, patients with Alzheimer's
3 disease were titrated from 500 milligrams up to
4 2 grams a day.

5 COL JOHNSON: Right.

6 DR. GANLEY: It was a 52-week study. In the
7 results section, one of the things they were
8 measuring as an outcome was brain volume. With
9 Alzheimer's disease, you have brain volume loss.
10 In their results section, the last --

11 COL JOHNSON: Got it. Yes.

12 DR. GANLEY: -- sentence it says, "Brain
13 volume loss was increased by resveratrol treatment
14 compared to placebo." I'm not sure how that's a
15 good signal. I think if it had gone in reverse,
16 where they said that resveratrol delayed it, they
17 would be making a claim that there's some benefit
18 there.

19 So that's the point I'm trying to make in
20 the long-term safety of making an assumption
21 because you have this in your food that dose
22 doesn't matter. Dose does matter.

1 COL JOHNSON: Yes, sir. And I completely
2 agree with you. Dose does matter, and I think
3 that's another reason to go to a compound
4 pharmacist and have the provider very, very much
5 involved with that versus telling our patients just
6 to go buy it off the shelf and go from there.

7 DR. GANLEY: I guess my point is, I don't
8 want a clinician necessarily prescribing 2 grams a
9 day to someone with Alzheimer's because they think
10 there's some benefit here --

11 DR. BRAVE: Sure, understood.

12 DR. GANLEY: -- when in reality it may
13 hasten their demise. That's my point.

14 DR. BRAVE: Got it, sir.

15 DR. GULUR: Dr. Desai?

16 DR. DESAI: I actually did have a comment
17 that was unrelated to what Dr. Ganley just said,
18 but I do want to comment on what he just said. I
19 agree with you that the results say that of that
20 study, but we don't necessarily know if that means
21 that their disease worsened per se because
22 apparently what they've studied here are

1 biomarkers.

2 DR. GANLEY: I don't disagree, but that is a
3 hallmark of patients --

4 DR. DESAI: Correct.

5 DR. GANLEY: -- with Alzheimer's, and
6 there's a progression to death, of declining brain.
7 So this is a question --

8 DR. DESAI: Yes. I think it's not clear.

9 DR. GANLEY: -- that has to be answered.

10 DR. DESAI: Right.

11 DR. GANLEY: And that's my point, not only
12 with Alzheimer's disease, but with all these other
13 diseases. You're giving very high doses of
14 something that was found, a chemical in food, and
15 you think it's safe. But when you get into a
16 bimodal dose-response effect in some of these
17 situations, it could be deleterious over the long
18 term. And that's my point I wanted to make.

19 DR. DESAI: Yes. I think we're on the same
20 page. And that leads me to my question, which is,
21 if we were to look at the average amount of
22 systemic dosing on all the studies you presented,

1 can you say that there would be one safe dose that
2 you've seen used or that you think would be optimal
3 across all indications of what we're looking at?

4 COL JOHNSON: Sir, I think I would defer
5 this one to Dr. Martinez Rivera, and I would say
6 that what I've seen is along the line of what he's
7 saying, starting out with that sliding scale of 100
8 to 200 milligrams, and like is said on slide 13, up
9 to 1,000 milligrams daily.

10 From what I've seen in the studies, that
11 seems to be. Although going back to what
12 Dr. Ganley is saying as well, part of the problem
13 with this is with the bioavailability and getting
14 across into the bloodstream.

15 So I think the 1,000 milligrams would be
16 where I would hang my hat, but I still think,
17 starting out, the sliding scale. Because as he
18 very well pointed out, the fact is that, at too low
19 of a dose, it may actually be more detrimental than
20 helpful, whereas at too high of a dose, it may,
21 again, start becoming detrimental. So finding that
22 sweet spot, so to say, is what becomes the

1 challenge.

2 For him, as you can see in his practice,
3 that's where he is found, that up to that
4 1,000 milligrams a day seems to be that sweet spot
5 for his patients, and I would kind of hang my hat
6 on that.

7 DR. GULUR: I would like to clarify on that.
8 That's anecdotal. How many patients is that? How
9 long was the safety data --

10 COL JOHNSON: You're absolutely right,
11 ma'am.

12 DR. GULUR: -- followed on those patients?

13 COL JOHNSON: Right. I could not answer
14 that, ma'am. That's why we really had hoped he
15 would be able to be here, but he wasn't able to,
16 because he would be able to answer that question.
17 I cannot.

18 DR. GULUR: I do have another question. As
19 you've reviewed all of this, have you found any
20 negative studies, where resveratrol has not shown
21 the benefits that are being touted?

22 COL JOHNSON: Actually, ma'am, I kind of ran

1 across the same studies that Dr. Ganley did. So I
2 would say that, as he was reviewing them, I had
3 reviewed those same studies as well; and so, yes.

4 DR. GULUR: Could you comment on the Semba
5 study that was in JAMA, which showed no benefit?

6 COL JOHNSON: That one I have not seen. I'm
7 sorry. I have to apologize. We were looking at a
8 lot of different studies, so that one unfortunately
9 slipped past me.

10 DR. GULUR: I think the studies that I saw,
11 Dr. Ganley, you had commented on were more related
12 to impaired glucose tolerance and pain. This is
13 more cardiovascular benefits and mortality.

14 Did you have a chance to review that?

15 DR. GANLEY: If I had spent time reviewing
16 all the possible studies -- when we did the
17 literature search, if you just go into PubMed and
18 put in resveratrol, you get over 10,000 reports.
19 If you do resveratrol in humans, it's over 5,000.
20 If you do resveratrol in clinical trials, it's
21 about 150.

22 So you could pick your diseases however you

1 want, but there are certain limits as to our
2 capability to review all of them.

3 DR. GULUR: No, I totally understand, which
4 is why your indications have been very specific,
5 the impaired glucose tolerance and pain that you
6 had reviewed. But since cardiovascular was brought
7 up in the nominator presentation, I just wanted to
8 bring up that particular article, which is in a
9 well-published journal and received quite a lot of
10 publicity, actually, since it contradicted
11 information that was pretty much taken for granted
12 for benefits of red wine, per se.

13 COL JOHNSON: Yes, ma'am.

14 DR. GULUR: I just wanted to bring that up.

15 Thank you very much. Any other comments
16 from members on the phone? Dr. Bogner?

17 DR. BOGNER: So the solubility of
18 resveratrol in water is .003 percent, at least by
19 PubChem. So it's not surprising to me, actually,
20 that you'd get some high dose, some low dose. It's
21 not very clear what the dose is.

22 How does one control the bioavailability?

1 Why would one give a gram of resveratrol when the
2 solubility is so low, you wouldn't expect to get
3 half of that in?

4 COL JOHNSON: I think Dr. Ganley was kind of
5 pointing to that as well, that part of the problem
6 with bioavailability -- and that really is the
7 challenge of trying to get it into the bloodstream
8 and get it going because, as you're pointing out,
9 either, A, it's going to get stuck in the tissue,
10 and therefore not going to get in, or it's going to
11 get in and get out real quick through the first
12 pass.

13 DR. BOGNER: Actually, I'm saying it's not
14 even going to get past the GI tract, and I'm
15 wondering if we know anything about the
16 formulations in the supplements because that could
17 change actually the dose that the patient gets from
18 exactly the same milligram strength.

19 COL JOHNSON: I think from the supplement
20 point of view, ma'am, your point is exactly right
21 because we don't know. I can't tell you because
22 most of those are proprietary. I couldn't tell you

1 what they put in them to begin with. I know, when
2 we compound them, I can tell you exactly what I put
3 in. And I know the powder that is used is usually
4 99 percent pure. But it's an excellent point.
5 It's a very excellent point.

6 DR. GULUR: Dr. Desai?

7 DR. DESAI: I just want to make one comment
8 before we forget since it's brought up several
9 times throughout the discussions, in Dr. Ganley's
10 and the nominator's presentation.

11 Resveratrol is available in cosmeceutical
12 products topically, and it's not obviously been
13 studied in the same way that it would as a drug,
14 but some of the products mentioned, we actually
15 sometimes use as adjuvant treatment in topical
16 aesthetic dermatology, specifically the one he
17 mentioned by SkinCeuticals.

18 So it is available in multiple ingredient-
19 based cosmeceuticals, specifically the ones that
20 oftentimes are combined with tretinoin and retinol-
21 based products in an OTC cosmeceutical formulation.
22 So I just did want to mention that, that it has

1 been used and it has been studied in small cohorts,
2 usually by the companies that are making them.

3 DR. GULUR: Any other questions?

4 (No response.)

5 DR. GULUR: Thank you very much for your
6 presentation.

7 COL JOHNSON: Yes, ma'am.

8 Committee Discussion and Vote

9 DR. GULUR: We do not have any open public
10 hearing speakers. The open public hearing portion
11 of this meeting has now concluded, and we will no
12 longer take comments from the audience. We will
13 now begin the panel discussion.

14 Any comments from the committee members?
15 Dr. Burman?

16 DR. BURMAN: Just a quick comment that I
17 think everybody realizes is that the studies that
18 were just gone through by the nominator, we didn't
19 have time to look at, to analyze critically, or to
20 really see whether there were control groups,
21 et cetera.

22 DR. GULUR: Dr. Bogner?

1 DR. BOGNER: I have a question to the FDA
2 folks, and this may not be fair. If you had to
3 rank your safety concerns regarding the compounds,
4 I guess the potentially listed materials we've
5 talked about today, where would this rank?

6 DR. GANLEY: I'm not sure how to really
7 respond to that. That's not how I think about
8 things. I think in this situation, I think there's
9 an assumption in the public, because it's in food
10 or it's in red wine, that it's okay. But it really
11 does get down to clinical pharmacokinetics and
12 pharmacodynamics and what is an effective dose and
13 what is a detrimental dose.

14 I think there's clearly literature in the
15 in vitro and ex vivo literature that suggests that
16 there can be a bimodal effect. So it behooves us
17 to make sure that we actually know what the dose
18 is.

19 When you're getting up to grams per
20 day -- and I think a lot of these diseases that
21 have been mentioned are serious diseases.
22 Inflammatory bowel disease is a very serious

1 disease. I'd love to have a drug that worked great
2 on it, but I think we ought to know what the dose
3 is and what the long-term consequences of use are.

4 I think it's very concerning when you see a
5 study like this in Alzheimer's, and there's a
6 signal which they would have reported differently
7 had it gone in the other direction, that it's just
8 sort of dismissed as not being relevant as a
9 concern. I think there's this lore out there that
10 these things can be used and prevent cancer or
11 whatever, and I think you have to be cautious about
12 that.

13 To me, it's not a word to the compounder.
14 It's a word to the clinicians who are prescribing
15 this. Compounders are just making what they're
16 told to make. I'm more concerned about clinicians
17 who are going to write prescriptions for this
18 stuff, who absolutely had no understanding that
19 there's drug interactions with this when you get to
20 a certain dose.

21 Doses that were studied in this clinical
22 study was a gram per day for 28 days, where they

1 used probed drugs to help determine whether there
2 were drug interactions, and they did establish
3 that. We don't know if half that dose would have
4 the same effect. Obviously, more would have an
5 effect and maybe even a greater effect.

6 So when you're starting to get up to
7 hundreds of milligrams per day to grams a day, you
8 have to think about what benefit are you providing
9 to a patient. These drugs can be prescribed for
10 long periods of time, and you ought to know
11 something about the safety of it.

12 Again, that's not directed at the
13 compounders; it's directed at clinicians.

14 DR. GULUR: Dr. Carome?

15 DR. CAROME: Just to follow up on the point
16 Dr. Burman made, I agree we didn't have time to
17 look at the studies in detail, but from what I
18 could tell, from reading the abstracts that were
19 posted up, most of the endpoints that they were
20 measuring were not clinically meaningful outcomes
21 as far as I can tell.

22 DR. GULUR: Dr. Desai?

1 DR. DESAI: Just to clarify, the nomination
2 is for impaired glucose tolerance, so what we will
3 be voting on is specifically for impaired glucose
4 tolerance. And if I remember from Dr. Ganley's
5 presentation, you've mentioned some good data, or
6 some studies -- I don't think we went into specific
7 references -- that it did help increase insulin
8 secretion, decrease or improve --

9 DR. GANLEY: Those were in animals.

10 DR. DESAI: So that was my question. Are
11 there any that we found in humans related to
12 insulin levels or glucose secretion?

13 DR. GANLEY: There are studies in diabetics
14 where they look at these various markers. I don't
15 know if the endocrine folks here are here to
16 answer.

17 DR. DESAI: Dr. Burman may know this.

18 DR. GANLEY: He can try.

19 DR. DESAI: I was just curious because since
20 we're voting on that -- and the one mention that we
21 had in your presentation did show --

22 DR. GULUR: Dr. Desai, I just wanted to

1 clarify that we will not be voting on the one
2 indication, because once this drug is on the list,
3 it can be used for any indication.

4 DR. DESAI: Correct, correct. I just wanted
5 to make sure we had clear data on the human aspect
6 of that because that's what the nominators had
7 initially presented.

8 DR. GULUR: Dr. Burman?

9 DR. BURMAN: Just a quick comment on
10 impaired glucose tolerance tests, it is not
11 necessarily these days the standard test to
12 determine whether someone is going to get
13 complications from diabetes or whether they will
14 progress to diabetes. It's a 70-gram glucose
15 ingestion followed with blood sugars two hours
16 later that, in this case, would be 140 to 199 or
17 have a fasting glucose between 100 to 125.

18 The test is not reliably reproducible. That
19 amount of glucose is not what we normally eat
20 during regular meals, and we would use, these days,
21 insulin and glucose levels with hemoglobin A1c,
22 maybe even a 24-hour glucose monitor to better

1 assess glucose homeostasis.

2 DR. CHONG: Hi. William Chong from the
3 Division of Metabolism and Endocrinology Products.
4 To address your question about human studies, there
5 have been some reported studies in patients with
6 diabetes. I believe Dr. Ganley also referenced a
7 small study in patients with impaired glucose
8 tolerance.

9 We've not viewed the studies in the patients
10 with diabetes to show clear results. There have
11 been some mixed reporting of some effect or no
12 effect. In the study that Dr. Ganley presented,
13 that was a small study. There was a small effect
14 on the glucose level following the standardized
15 meal that they used. But as he also mentioned,
16 it's not really clear what that means in terms of
17 clinically meaningful benefit.

18 DR. GULUR: So I would just like to put one
19 point out here. So far, we've had two indications,
20 a narrow therapeutic narrow index that appears for
21 the dose, given the know bioavailability of this
22 substance and also the fact that we are

1 recommending doses or we are seeing dose ranges
2 that may or may not, A, have efficacy and can
3 potentially at higher doses have significant side
4 effects.

5 Two other concerning markers that I've seen
6 is the Alzheimer's study, where this medication
7 given for whatever duration caused a decrease in
8 brain volume size, which is pretty significant, and
9 yet not commented on.

10 The other study that I was referencing was
11 actually referred to a primate study in which they
12 gave the female primates medication, and this,
13 resveratrol, as part of their diet at just a higher
14 dose than you would normally consume. And the
15 fetus had a 42 percent increase in the pancreas
16 size, even though there were benefits seen in the
17 female primates themselves.

18 So given the questionable safety signals in
19 this, without any real supportive literature to
20 contradict it otherwise, it appears concerning.

21 Dr. Mixon, you had a question?

22 MR. MIXON: I just have a comment. We're

1 spending a lot of time debating the clinical
2 efficacy of this drug. The drug is going to be
3 prescribed by or recommended by practitioners,
4 whether we can compound with it or not. Because
5 it's over the counter, it's a supplement.

6 So just keep that in mind. I mean, you're
7 not going to change the minds of people that are
8 recommending this substance by placing it or not
9 placing it on the list of bulk drug substances.

10 DR. GULUR: Is it available as a dietary
11 supplement at 1,000 milligrams per day?

12 DR. GANLEY: You can buy 500-milligram
13 capsules or tablets. And I'll just take exceptions
14 to your comments, because there is a big difference
15 in whether it's a dietary supplement and standards
16 with regard to safety, because the agency does not
17 review the safety unless -- and, again, the hurdles
18 to get over, for us to declare something as unsafe
19 are very high for dietary supplements.

20 On the drug side, we look at things
21 differently. We look to see whether long-term use
22 and short-term use causes potential harm simply

1 because we don't have a lot of studies. In fact,
2 we do have 1 study in Alzheimer's disease that
3 suggests there's going to be harm.

4 My point of view is that's problematic to
5 characterize as this should be something on a drug
6 list that a healthcare provider can prescribe. I
7 don't think most healthcare providers would have
8 even 1 percent of the knowledge that was presented
9 to you today with regard to the potential drug
10 interactions with cytochrome P450 enzymes.

11 I think we don't know the situation of the
12 15-year-old child who was taking risperidone and
13 also resveratrol 4 times a day. It just seems odd
14 that someone would take that as a dietary
15 supplement 4 times a day and that there's a
16 potential drug interaction there. They shouldn't
17 be prescribing it.

18 MR. MIXON: And it's even less likely that
19 the prescriber is going to know it when they're
20 just going to the drug store, or to Walmart, or
21 whatever, or Amazon.

22 DR. GANLEY: I know. But the way our laws

1 are set up in this country, dietary supplements are
2 as they are. People can make a conscious decision
3 of whether they want to take it. It's very
4 different to throw that into the drug realm,
5 though.

6 DR. GULUR: Dr. Jungman?

7 MS. JUNGMAN: I was just going to comment on
8 that. I think that's right. I get
9 frustrated -- and I said this on our earlier vote,
10 with regard to these votes -- for products that are
11 also available as dietary supplements. But I
12 really don't think we can just throw up our hands
13 when we're considering something that's available
14 in dietary supplement form and just assume that the
15 vote means nothing.

16 It does seem significant to me. We've been
17 given 4 factors to consider. And if this committee
18 recommends, and FDA ultimately concludes, that the
19 balance of those factors is in favor of the
20 substance, that strikes me as different. We're
21 saying that the characterization, and the balance
22 of safety and effectiveness, and the historical use

1 of the product support its use as a drug.

2 There's already a big business in
3 resveratrol, and I think you can see that if we put
4 this on the list, we will see. The nominator
5 presented a whole host of indications that were
6 therapeutic claims that you could make for a
7 compounded version of resveratrol that you would
8 not be able to make, at least as I understand it,
9 for the dietary supplement.

10 So I would just say that I think we've got
11 four factors that we've been given to consider, and
12 it's not insignificant if we conclude -- our
13 conclusion either way on this, even if it's
14 available as a dietary supplement.

15 DR. GULUR: If there is no further
16 discussion -- yes, we do. Dr. Braunstein?

17 DR. BRAUNSTEIN: Hi. I would just like to
18 maybe turn the question on its head. After this
19 committee perhaps has voted down whether certain
20 APIs that are available as over-the-counter
21 nutraceuticals should be on the list, maybe the FDA
22 could compile that list. And, in fact, you could

1 go challenge Congress to take a look at why
2 substances that a panel of scientists don't feel
3 should be made available by prescription are
4 available over the counter for sale in an
5 unregulated way to people who are not well informed
6 about the risk-benefit of the products that they're
7 purchasing.

8 DR. GULUR: Thank you all very much for this
9 discussion. We will move on now to the vote. We
10 end our discussions and start the vote.

11 The question before us, FDA is proposing
12 that resveratrol not be included on the 503A bulks
13 list. Should resveratrol be placed on the list?
14 If you vote no, you are recommending FDA not place
15 the bulk drug substance on the 503A bulks list. If
16 the substance is not on the list when the final
17 rule is promulgated, compounders may not use the
18 drug for compounding under Section 503A unless it
19 becomes the subject of an applicable USP or NF
20 monograph or component of an FDA-approved drug.

21 If there is no further discussion, we will
22 now begin the voting process. Please press the

1 button firmly on your microphone that corresponds
2 to your vote. You will have approximately
3 15 seconds to vote. After you have made your
4 selection, the light will continue to flash. If
5 you are unsure of your vote, please press the
6 corresponding button again.

7 (Voting.)

8 DR. CHEE: For resveratrol, we have zero
9 yeses, 12 nos, and zero abstain.

10 DR. GULUR: Dr. Burman before Dr. Carome
11 corrects me again, would you mind?

12 DR. BURMAN: Yes.

13 DR. GULUR: Thank you.

14 DR. BURMAN: Thank you very much. First of
15 all, thank you to the nominator and the FDA for a
16 great discussion. This is Ken Burman, and I voted
17 no basically because of the possible adverse
18 effects, GI and renal, because the studies are
19 relatively short term; lack of history regarding
20 compounding; insufficient clinical studies
21 regarding pain and diabetes; and issues regarding
22 the bioavailability.

1 As I already mentioned, the diabetes study
2 or the impaired glucose tolerance study was related
3 to oral glucose tolerance tests, which isn't
4 necessarily reproducible or a measure of long-term
5 effect. Thank you.

6 DR. GULUR: Thank you. Dr. Vaida?

7 DR. VAIDA: Allen Vaida. I voted no, and I
8 agree with my colleague here. But also, I think it
9 really came to light with the indications again
10 with the nominator. After looking at pain and
11 glucose intolerance and their bringing up studies
12 with Alzheimer's, ulcerative colitis, and heart
13 disease, just really shows that you have limited
14 control over how these drugs could be used.

15 DR. GULUR: Dr. Venitz on the phone?

16 (No response.)

17 DR. GULUR: My apologies. Dr. Venitz did
18 not join us for this discussion.

19 Padma Gulur. For reasons stated both in
20 this discussion and before, long-term safety and
21 the therapeutic index of this drug influenced my
22 vote.

1 MS. DAVIDSON: Gigi Davidson. I voted no
2 for many of the reasons stated. I'm particularly
3 concerned about the number of potential drug
4 interactions. Here, there are more than 40 drugs
5 alone that are substrates of CYP that are very
6 significant drugs therapeutically.

7 I've been counseled often and counseled off
8 and on to avoid drinking grapefruit juice when
9 certain medications are given, but I've never been
10 counseled, nor counseled, to avoid resveratrol. So
11 I think that more discussion in the lay public and
12 the prescribing public is warranted.

13 DR. GULUR: Dr. Humphrey?

14 MR. HUMPHREY: William Humphrey. I voted no
15 as well. I have concerns about the safety
16 concerns, the unclear dosage recommendations, and
17 the lack of clinical efficacy.

18 DR. GULUR: Dr. Desai?

19 DR. DESAI: Seemal Desai. I also voted no.
20 I want to thank the nominator for an interesting
21 presentation. I think botanical ingredients like
22 this certainly are interesting and certainly offer

1 some interesting therapeutic insights potentially
2 for unmet needs in our patients, but the drug
3 interactions in particular were one of the things
4 that worried me the most with this, as well as the
5 renal toxicity.

6 DR. GULUR: Dr. Wall on the phone?

7 DR. WALL: I voted no. I think this drug
8 has a lot of hope and there's a lot of people who
9 want it to work, but there's too many unanswered
10 questions to say that we can safely just put it out
11 there for anybody for anything.

12 I would have liked to have seen the
13 physician who they had read his comments to,
14 really -- if he's working on it that much, to have
15 compounded it as a study, present the data.

16 It's really easy to prescribe something and
17 just sort of put it on your checklist, but it would
18 be so helpful for all of us, for people to really
19 document, and know, and to put it together, put
20 NCPA and other people where they can put their data
21 together and really have good data so that we can
22 have even better discussions than we had today.

1 Thank you.

2 DR. GULUR: Thank you, Dr. Wall.

3 Dr. Patel?

4 DR. PATEL: Kuldip Patel. I support all the
5 comments made earlier and would just add to those
6 comments that I continue to have concerns about the
7 dose-effect relationship, which I had questioned
8 earlier. Toxicity, especially if it's approved
9 post-marketing, would be difficult to manage; lack
10 of efficacy data, particularly in clinically
11 meaningful outcomes.

12 Lastly, translating the data that was
13 presented, that was in favor of the product and the
14 animal dosing studies, would be difficult to
15 translate into human use.

16 DR. GULUR: Dr. Bogner?

17 DR. BOGNER: Robin Bogner. I voted no
18 because the data were so contradictory here and
19 there, and I'm concerned about the unknown
20 unknowns.

21 DR. GULUR: Dr. Jungman?

22 MS. JUNGMAN: Elizabeth Jungman. I also

1 voted no. I just didn't think that the balance of
2 safety and effectiveness worked in favor of the
3 substance here.

4 DR. GULUR: Dr. Hoag?

5 DR. HOAG: I voted no for all the reasons
6 listed.

7 DR. GULUR: Dr. Carome?

8 DR. CAROME: Mike Carome. I voted no for
9 many of the reasons stated, and I was particularly
10 concerned about the potential for adverse drug-drug
11 interactions.

12 DR. GULUR: Thank you all very much. Would
13 the FDA officials have any closing remarks?

14 MS. BORMEL: I'd just like to thank
15 everybody for their participation today and for
16 staying longer, and we'll look forward to
17 tomorrow's meeting.

18 Adjournment

19 DR. GULUR: Thank you. This will end the
20 session for today. We will resume in the morning.

21 (Whereupon, at 5:11 p.m., the afternoon
22 session was adjourned.)

