

UNITED STATES OF AMERICA
DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION

+ + +

RISK COMMUNICATION ADVISORY COMMITTEE

+ + +

March 6, 2018
9:00 a.m.

FDA White Oak Campus
Building 31, the Great Room (Room 1503)
10903 New Hampshire Avenue
Silver Spring, MD 20993

PANEL MEMBERS:

SUSAN J. BLALOCK, Ph.D., M.P.H.	Chair
CYNTHIA BAUR, Ph.D.	Member
DAVID M. BERUBE, Ph.D.	Member
JOSEPH N. CAPPELLA, Ph.D.	Member
W. TIMOTHY COOMBS, Ph.D.	Member
NATHAN F. DIECKMANN, Ph.D.	Member
ELIZABETH HOWLETT, Ph.D.	Member
GARY L. KREPS, Ph.D.	Member
CHARLES LEE, M.D.	Member
ANDREW PLEASANT, Ph.D.	Member
RAJIV N. RIMAL, M.A., Ph.D.	Member
PAUL SLOVIC, Ph.D.	Member
JEANNIE SNEED, RD, Ph.D.	Member
MICHAEL S. WOLF, M.A., M.P.H., Ph.D.	Member
MYLA GOLDMAN, M.D.	Temporary Member
ANNE LYERLY, M.A., M.D.	Temporary Member
CATHERINE SPONG, M.D.	Temporary Member
JAMES TRACY, D.O.	Temporary Member
ALMUT WINTERSTEIN, RPh, Ph.D., FISPE	Temporary Member
ELIZABETH A. JONIAK-GRANT, Ph.D.	Patient Representative
GERARD NAHUM, M.D., FACOG	Industry Representative
SUZANNE B. ROBOTTI	Consumer Representative
LEE ZWANZIGER, Ph.D.	Designated Federal Officer

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly, the Food and Drug Administration makes no representation as to its accuracy.

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

FDA PARTICIPANTS:

JODI M. DUCKHORN
Director, Risk Communication Staff
Office of Planning
Office of the Commissioner

CHRISTINE P. NGUYEN, M.D.
Deputy Director for Safety
Division of Bone, Reproductive, and Urologic Products
Office of Drug Evaluation III
Office of New Drugs
Center for Drug Evaluation and Research

LYNNE P. YAO, M.D.
Director, Division of Pediatric and Maternal Health
Office of Drug Evaluation IV
Office of New Drugs
Center for Drug Evaluation and Research

SANDY WALSH
Press Contact

INDEX

	PAGE
CALL TO ORDER AND OPENING REMARKS - Susan J. Blalock, Ph.D., M.P.H.	295
COMMITTEE INTRODUCTIONS	295
CONFLICT OF INTEREST STATEMENT AND ADMINISTRATIVE ANNOUNCEMENTS - Lee Zwanziger, Ph.D.	299
OPEN PUBLIC HEARING (No speakers)	
COMMITTEE DISCUSSION	
Question 1 (cont.)	302
Question 2	339
Question 3	387
Question 4	408
ADJOURNMENT	436

M E E T I N G

(9:00 a.m.)

1
2
3 DR. BLALOCK: And so I would like to call this meeting of
4 the Risk Communication Advisory Committee to order. If I can
5 get everyone to have their seats.

6 I'm Dr. Susan Blalock, and I'm the Chair of the Committee.

7 I note for the record that the members present constitute
8 a quorum as required by 21 C.F.R. Part 14. I'd also like to
9 add that the Committee members participating in today's meeting
10 have received training in FDA laws and regulations.

11 Before we begin, I'd like to ask our distinguished
12 Committee members and FDA staff seated at the table to very
13 briefly introduce yourselves and state your name, area of
14 expertise, position, and affiliation. So I started this way
15 yesterday, so I'll start with Lee today.

16 DR. ZWANZIGER: Lee Zwanziger, FDA Risk Communication
17 Staff. I'm the Designated Federal Officer of the Committee.

18 DR. COOMBS: Tim Coombs. I'm a Professor of Communication
19 at Texas A&M University, and my area is crisis communication.

20 DR. PLEASANT: Do not ask me where the buzz is coming
21 from. Andrew Pleasant, health literacy media. We work to
22 prevent chronic disease in low-income, underserved communities.

23 DR. LYERLY: Anne Lyerly. I'm a Professor of Social
24 Medicine at the University of North Carolina at Chapel Hill,
25 Research Professor of OB/GYN, and Associate Director of the

1 Center for Bioethics. My research is on ethically complicated
2 issues in reproductive medicine.

3 DR. SLOVIC: I'm Paul Slovic, University of Oregon and
4 Decision Research, psychology, and I study the psychology of
5 risk.

6 DR. HOWLETT: Elizabeth Howlett, Washington State
7 University. I study judgment and decision making within the
8 context of consumer health and welfare.

9 DR. CAPPELLA: Joseph Cappella, Annenberg School for
10 Communication at the University of Pennsylvania, communication
11 media and message effects.

12 DR. JONIAK-GRANT: Elizabeth Joniak-Grant, patient
13 representative for chronic daily migraine, arthritis,
14 fibromyalgia, and chronic pain. I'm also a sociologist who's
15 done work and talk in social institutions and people processing
16 institutions.

17 DR. TRACY: Jim Tracy. I'm an Associate Professor of
18 Pediatrics at the University of Nebraska. I'm also in private
19 practice in Omaha, and I'm on the Pulmonary and Allergy Drug
20 Advisory Committee.

21 MS. DUCKHORN: Jodi Duckhorn, Director of the Risk
22 Communication Staff. Good morning.

23 DR. NGUYEN: Good morning. Christine Nguyen, Deputy
24 Director for Safety with the Division of Bone, Reproductive,
25 and Urologic Products.

1 DR. YAO: Good morning. Lynne Yao, Director of the
2 Division of Pediatric and Maternal Health, CDER.

3 DR. RIMAL: Rajiv Rimal. I'm at George Washington
4 University, and I study social behavior change.

5 DR. WOLF: Michael Wolf, Professor and Associate Division
6 Chief, General Trauma Medicine, Northwestern University.

7 DR. WINTERSTEIN: Almut Winterstein. I'm Professor and
8 Chair of Pharmaceutical Outcomes and Policy at the University
9 of Florida, and I'm also Chair of the Drug Safety and Risk
10 Management Advisory Committee to the FDA.

11 DR. SNEED: Jeannie Sneed. I'm currently a consultant,
12 and my area of expertise is food safety.

13 DR. NAHUM: Good morning. Gerard Nahum. I am Vice
14 President of Clinical Development at Bayer Pharmaceuticals. I
15 am also a member of the Bone, Reproductive, and Urologic
16 Products Advisory Committee, and I'm an
17 obstetrician/gynecologist by training.

18 DR. KREPS: Gary Kreps. I'm a Professor of Communication
19 and Director of the Center for Health and Risk Communication at
20 George Mason University. I study the dissemination of health
21 information in society.

22 DR. SPONG: Good morning. Cathy Spong. I'm the Deputy
23 Director at Eunice Kennedy Shriver National Institute of Child
24 Health and Human Development. I'm an obstetrician/gynecologist
25 and maternal-fetal medicine subspecialist. I'm also the Chair

1 of the Task Force on Research Specific to Pregnant Women and
2 Lactating Women, and my research interests have been both --
3 span from basic science and neuroprotection through clinical
4 research in pregnancy and lactation.

5 DR. BERUBE: I'm David Berube. I'm a Professor of Science
6 and Technology Communication at North Carolina State University
7 and currently Co-Director of the Research Triangle
8 Nanotechnology Network.

9 DR. BAUR: Cynthia Baur, Professor of Health Literacy and
10 Director of the Center for Health Literacy at the School of
11 Public Health, University of Maryland, and expertise is health
12 literacy.

13 DR. DIECKMANN: Nathan Dieckmann. I'm an associate
14 professor at Oregon Health and Science University and a
15 research scientist at Decision Research. I study risk
16 communication, judgment, decision making, biostatistics.

17 MS. ROBOTTI: Hi, I'm Suzanne Robotti. I'm the Founder of
18 MedShadow Foundation and the Executive Director of DES Action
19 USA and the consumer rep on the Drug Safety and Risk Management
20 Committee.

21 DR. LEE: Hi, my name is Charles Lee. I'm senior advisor
22 on health literacy and language barriers at First Databank. My
23 area of expertise is health information technology and
24 communication with patients with limited English proficiency.

25 DR. BLALOCK: And, Dr. Goldman, could I have you introduce

1 yourself?

2 DR. GOLDMAN: I'm Dr. Myla Goldman, and I'm at the
3 University of Virginia. I'm an Associate Professor of
4 Neurology, and my area of care and research is in multiple
5 sclerosis. That's it. Sorry. Thank you.

6 DR. BLALOCK: And Lee Zwanziger, the Designated Federal
7 Officer for the Risk Communication Advisory Committee, will
8 make some administrative remarks.

9 DR. ZWANZIGER: Good morning. I will read the FDA
10 Conflict of Interest Disclosure Statement into the record.
11 It's the same statement that was read yesterday; however, I
12 will proceed with reading it into the transcript.

13 The Food and Drug Administration is convening today's
14 meeting of the Risk Communication Advisory Committee under the
15 authority of the Federal Advisory Committee Act of 1972.
16 Except for the Industry Representative, all members and
17 consultants of the Committee are special government employees
18 or regular government employees subject to federal conflict of
19 interest laws and regulations.

20 The following information on the status of this
21 Committee's compliance with federal ethics and conflict of
22 interest laws covered by, but not limited to, those found at 18
23 U.S.C. 208 is being provided to participants in today's meeting
24 and to the public.

25 FDA has determined that members and consultants of this

1 Committee are in compliance with federal ethics and conflict of
2 interest laws. Under 18 U.S.C. 208, Congress has authorized
3 FDA to grant waivers to special government employees who have
4 financial conflicts when it is determined that the Agency's
5 need for a particular individual's services outweighs his or
6 her potential conflict of interest.

7 Related to the discussions of today's meeting, members and
8 consultants of this Committee who are special government or
9 regular government employees have been screened for potential
10 financial conflicts of interest of their own as well as those
11 imputed to them, including those of their spouses or minor
12 children or, for purposes of 18 U.S.C. 208, their employers.
13 These interests may include investments; consulting; expert
14 witness testimony; contracts, grants, or cooperative research
15 and development agreements; teaching, speaking, and writing;
16 patents and royalties; and primary employment.

17 For this meeting, the Risk Communication Advisory
18 Committee has been expanded by temporary members from other
19 Advisory Committees, as shown in the meeting roster. Except
20 for the Industry Representative, as noted above, those
21 individuals are special or regular government employees who
22 have undergone the customary conflict of interest review and
23 have received the materials to be considered at this meeting.

24 These appointments were authorized by Rachel Bressler,
25 Deputy Director, Advisory Committee Oversight and Management

1 Staff.

2 Based on the agenda for today's meeting and all financial
3 interests reported by the Committee members and consultants, no
4 conflict of interest waivers have been issued in accordance
5 with 18 U.S.C. 208.

6 We'd like to remind members and consultants that if the
7 discussions involve products or firms not on the agenda for
8 which a participant has a personal or imputed financial
9 interest, the participants need to exclude themselves from such
10 involvement and their exclusion will be noted for the record.

11 A copy of this statement will be available for review at
12 the registration table during this meeting and will be included
13 as part of the official transcript.

14 Before returning the meeting to Dr. Blalock, I'd like to
15 make a few other announcements.

16 Handouts for this whole meeting are available at the table
17 outside the meeting room.

18 The FDA press contact is Sandy Walsh. And if you would
19 like to speak to her, let me know. Members of the press,
20 please sign in at the sign-in sheet outside.

21 And in order to help the transcriptionist identify who is
22 speaking, please be sure to identify yourself every time you
23 speak, and please, always use your microphone.

24 And, finally, let's all remember to silence our cell
25 phones and other electronic devices.

1 Thanks.

2 DR. BLALOCK: Thank you. We'll now proceed to the second
3 Open Public Hearing portion of the meeting, but we don't have
4 anyone signed up in advance. Does anyone in our audience wish
5 to speak to the Committee at this time? If so, would they
6 approach the podium?

7 (No response.)

8 DR. BLALOCK: Okay, barring any comments, I now pronounce
9 the Open Public Hearing to be officially closed, and we'll not
10 take any additional speakers for the remainder of the meeting,
11 and we'll now proceed with today's agenda.

12 I wanted to start. You know, we spent a fair amount of
13 time at the end of, you know, yesterday's meeting discussing
14 Question 1, and I thought I put a fair amount of time, you
15 know, thinking about the issues that we discussed yesterday and
16 kind of summarize some of the main points in the effort of
17 trying to move the discussion forward. I do have a list of
18 folks who had raised their hands to have comments before we
19 ended yesterday, and I'll go through that and give folks an
20 opportunity to see if those, you know, comments are still
21 relevant.

22 Some of the other questions that we have, you know, to
23 address during the remainder of the meeting are pretty meaty,
24 and so I'd like to, you know, get into them and move forward as
25 quickly as possible but without shortchanging anyone who has

1 important remarks to make.

2 But with that as a little bit of background, I actually
3 came up with what I thought might be three, you know,
4 recommendations that we might want to make, you know, to the
5 FDA just based on the discussion that we had yesterday, and the
6 first relates to all of the uncertainty, you know, that exists
7 in this area. And, you know, we talked yesterday to a fairly
8 large extent about, you know, the lack of data and, you know,
9 the uncertainty and I think the -- you know, sort of a just
10 principle in all of this is that the more uncertainty that
11 there is, you know, the harder the challenges for
12 communication. And that's why it's, you know, somewhat
13 relevant to our discussion here, which really should be focused
14 on how we communicate the data that we have in hand.

15 So I think that what I heard yesterday is, you know, a lot
16 of folks saying that we really do need more data. You know,
17 the more uncertainty that you can address and reduce, the
18 greater the uncertainty that you can reduce, the easier it will
19 be to communicate the information.

20 And we also heard that there are about six million
21 pregnancies in the U.S. each year. That's a lot of exposures,
22 so there's lots of data out there. You know, we live in an
23 information age, and it seems like there should be -- oh, one
24 other thing, that when there's a lot of uncertainty present,
25 and I think that Dr. Pleasant made this point, that it has the

1 potential to increase public cynicism, you know. Well, you
2 know, they don't know what they're talking about; you know,
3 they're just sending mixed messages. And so, again, just a
4 call for more data. So I think that even though it's a little
5 bit outside the scope of this Committee, I'm hoping that
6 everyone would agree that, to the extent possible, that there
7 can be initiatives that reduce the uncertainty around the
8 medication risk as well as the medication benefits during
9 pregnancy, that that should be a priority in this area.

10 So that's my little speech on that issue. Let me just
11 ask, is there general consensus that that is true, that that is
12 a recommendation that we would like to make, just the need for
13 more data? Okay, I'm seeing a few head nods. And, again, I'm
14 trying to push the conversation ahead a little bit. Let me
15 just ask if anyone has a strong disagreement with what I just
16 said. Okay, I see one hand.

17 Dr. Nahum.

18 DR. NAHUM: I'm sorry. Yeah, Dr. Nahum. I think you're
19 absolutely right, there is a need for more data, but in the
20 absence of that data, we still need to come up with a strategy,
21 and to collect the type of data that you're alluding to is
22 going to take some significant amount of time. And so I
23 wonder, in the interim, if we don't have a charge to come up
24 with something to fill that gap.

25 DR. BLALOCK: Absolutely. And I did not mean to convey

1 anything otherwise; we have to live with what we have today.

2 Dr. Spong.

3 DR. SPONG: Yeah, I think the comment that I was going to
4 make, I don't disagree with what you're saying, but I don't
5 know that it's really in the purview of what they're asking us
6 today, of whether or not we need data. Everything's pretty
7 clear; data is needed, and it is not going to be rapidly
8 obtained despite all of our best efforts. So I think what
9 we're trying to do is to figure out how do we communicate with
10 the information that we have, given the requirements of the
11 FDA.

12 DR. BLALOCK: Dr. Baur.

13 DR. BAUR: So Cynthia Baur. I would agree with that
14 statement, and I think it's a little bit beyond what we were
15 asked to do, but I also -- I'm not sure that I accept that
16 uncertainty inherently makes communication more difficult and
17 certainty makes communication easier. So that, I think, might
18 come up, you know, earlier, and so if that's part of the
19 preamble, I guess I would take issue with that.

20 DR. BLALOCK: Dr. Pleasant.

21 DR. PLEASANT: I have the interesting microphone today. I
22 actually don't know that I said that, but if you wanted to
23 interpret what I said that way, that's fine. But I think
24 Cynthia has got a point that's worth paying attention to. What
25 I'd like to do is actually push it a little farther, though,

1 because I know what we've been asked to do is one thing, but
2 strategically labeling can create an influence upon the field
3 to incentivize people to create that stream of data, and I
4 don't see -- even though it is beyond the "question" we were
5 asked, we get to say whatever we believe and why not be
6 strategic about developing? It will take a while, but if you
7 don't start today, then it will take a while longer.

8 DR. BLALOCK: Okay. And I've got -- Dr. Lyerly.

9 DR. LYERLY: So two --

10 DR. BLALOCK: And, you know, again let me stress that this
11 really is beyond, you know, what we've been asked to do today,
12 and to some extent, the reason I started here was to lay this
13 to rest and move on to what we have been charged with doing.

14 DR. LYERLY: So just two things. One, sort of thinking
15 about what Dr. Baur said, and it seems to me that it may be
16 helpful, in the context of communication about the data that
17 there is, to be explicit about the fact that more data is
18 needed before we can be definitive about it, and if there were
19 some standardized language the way that there is standardized
20 language about miscarriage risk, that might be a helpful sort
21 of caveat for people who are going to be reading the label.

22 The second point: And, again, I feel like this may be
23 beyond the purview, but I was surprised to hear that there is
24 no requirement to report and an inclination not to report
25 inadvertent exposures in the context of trials, and while that

1 may be out of the edges of our purview, it does seem to be --
2 you know, have something to do with what the FDA can do. So I
3 just, you know, for the record want to say that I think that
4 that's an important space where there may be some information
5 that could be shared and would be very, very informative to
6 people who are making decisions.

7 DR. BLALOCK: And -- oh, Dr. Nguyen would like to respond
8 to that.

9 DR. NGUYEN: Hi. Just to clarify, when there are
10 inadvertent exposures in a clinical trial and the woman, say,
11 is discontinued from the trial, usually that woman's followed
12 up as long as possible and FDA does receive those data. I
13 think the main thing that was brought out yesterday was those
14 cases don't occur frequently because there is obviously
15 contraceptive requirements and what have you in the trial. So
16 the limited number of patients exposed to the drug and
17 followed, you know, to the end of her pregnancy is such that it
18 doesn't provide for robust data to go into labeling. But the
19 outcomes of these patients are reported to us and are reviewed.

20 DR. BLALOCK: Dr. Howlett and then Dr. Cappella, and then
21 I'm going to move on.

22 DR. HOWLETT: Elizabeth Howlett. I just wanted to go on
23 the record as well to say I agree with what Dr. Baur has said,
24 and we're in a situation where we have less than ideal
25 information. However, I think the science is pretty clear, is

1 that there are ways to present, you know, ambiguous, difficult,
2 uncertain information in a more clear way, and I think that's
3 important.

4 DR. BLALOCK: And absolutely, one of the questions that
5 we'll come to address that exactly.

6 DR. HOWLETT: Right. And my second question, though, is
7 it seems like we're talking about what the FDA has available,
8 and just sort of a point of clarification. Are there other
9 systems that we could perhaps lean on in the European Union,
10 Western Germany, England -- surely we're not reinventing the
11 wheel here -- that we can turn to other databases that perhaps
12 exist? So I mean that's a question I don't know.

13 DR. BLALOCK: And Dr. Cappella.

14 DR. CAPPELLA: I just want to say something about
15 uncertainty, and that is there's always uncertainty in data
16 that we have, but there are degrees of uncertainty, and it's --
17 we know a lot more about how to communicate certain kinds of
18 uncertainty where we can say, for example, there was a
19 probability plus or minus a certain kind of confidence
20 interval, and we have clear notions about that.

21 What we're facing here, it seems to me, is a high degree
22 of uncertainty where there is disagreement about the evidence
23 base, there's disagreement within the evidence base, and it's
24 there that I think we have had the least amount of attention in
25 the research literature where the core uncertainty is an

1 uncertainty of disagreement, unreliability, lack of robustness,
2 and that is a very real challenge. But I think we know how to
3 communicate certain kinds of uncertainty, but some of the kind
4 that we're talking about here is more difficult, and that's our
5 challenge.

6 DR. BLALOCK: The second theme, I think, that I heard
7 yesterday, you know, we talked a lot about putting the risk in
8 context, and yeah, I think that there's also a need to, you
9 know, understand the context in which people are using, you
10 know, the information, and that came through in some of the
11 presentations yesterday. And, you know, there are lots of
12 different risks out there, and I forget exactly who made what
13 point, so I won't name anyone, you know, by name.

14 You know, when -- oh, and can we show Question 1, because
15 that actually is what I'm referring to. When we talked about
16 the context -- and I think that, you know, part of what the FDA
17 is doing with the new labeling is to provide more context, you
18 know, the background risk during pregnancy, the risk of not
19 taking medication, and I think that those are all steps in the
20 right directions, and I will, you know, allow folks to disagree
21 with that.

22 Someone mentioned, also, the need to consider economic and
23 culture in here. So, you know, a lot of clinicians are risk
24 averse and, you know, someone mentioned that if they prescribe
25 a medication, then they're taking the risk, whereas if they

1 don't prescribe the medication, then it's the patient that has
2 the risk of the outcomes. So one of the things -- so I guess
3 the recommendation is that, you know, here we're focusing on --
4 not exclusively on the regs related to the PLLR, but it seems
5 like the FDA can also do a lot as part of a broader initiative
6 to help people know that that information is there, how to use
7 the information, and a lot more in terms of how to, you know,
8 communicate risk information.

9 Again, I think it's probably our focus here, I think, is
10 on the PLLR, but I think that it makes sense to remember that
11 that labeling is just one thing that may have minimal impact on
12 provider decision making, which is what the question is here,
13 you know, and that you need to think about it in terms of a
14 broader initiative that helps people use the information and
15 perhaps deal with those other issues that they may be grappling
16 with when they're trying to apply the information in their
17 practice.

18 So, again, I don't want to pull us into an aside, in a
19 different direction. Is there any strong disagreement with
20 that? And, again, I think it's a little bit beyond our purview
21 here. Okay.

22 DR. BAUR: Could you just state what the recommendation
23 is?

24 DR. BLALOCK: Yeah. Just that even though I think that
25 most of our discussion going forward today will be fairly

1 focused on the PLLR and those regs, but there's really a need
2 to think about that as one piece of a broader initiative that
3 helps people use -- that helps physicians use the information,
4 how to integrate it into patient counseling, developing patient
5 education materials that go with the professional materials,
6 maybe helping people understand how to interpret risk
7 information; that's the recommendation. Is that clear? Okay.

8 Dr. Joniak-Grant.

9 DR. JONIAK-GRANT: Hi. Elizabeth Joniak-Grant.

10 This sort of straddles, I feel, like what you're talking
11 about, and this discussion I kept going back and forth with,
12 when should I bring it up, but I think this might be a good
13 time. When we're talking about how risk perception impacts
14 provider decision making, I think when we're talking about
15 context, I think it's important to remember that many patients
16 think risk in pregnancy can be mostly controlled. They might
17 look at background risk and you hear things like, oh, well,
18 that's by -- because they ate this or they drank this or they
19 did that or, you know, those types of things. And then others
20 think there's lots of risks that you just can't control. But
21 for both groups, you see this all the time.

22 You can't control what drugs you take and you should. You
23 see comments like, wow, you can't even have a cup of tea;
24 imagine what a drug could do, especially if they're not certain
25 about it. And I think of things that kind of make patients see

1 a drug as more risky, and this could maybe help with the
2 labeling, and that's why it kind of straddles a little bit is
3 if it's a newer drug or they think it's a newer drug, they're
4 not familiar with how long it's been around, lack of sort of
5 financial, physical, and psychological resources. If their
6 child is born with a birth defect, how am I going to do this,
7 how am I going to manage this? Having a chronic illness
8 themselves, they know kind of like what to expect and might
9 sort of catastrophize a little bit with that. And then, also,
10 if the provider is flippant, yeah, that's fine; no, you
11 shouldn't take it and, you know, doesn't want you to ask any
12 follow-up questions, you know, that can really cause problems.

13 So given this, right, it's better to have -- you have to
14 have great benefits. And then there's a few other things, I
15 think, that will kind of go more towards the thing, so I'll
16 leave it there. I'll leave it there for now.

17 DR. BLALOCK: Just again, in the interest of time, I'm
18 going to go to the third sort of general thing, and I think
19 that this will probably be the least controversial that, you
20 know, we talked -- Dr. Slovic, and I'm certain I've got your
21 name right there -- you know, mentioned the different ways that
22 you can think about risk, just the term "risk." And so you
23 know, clearly, language makes a different order, that you
24 present information that makes a difference. Clearly,
25 information needs to be clear, and I think that we will, you

1 know, discuss that in some of -- if folks can look at the
2 questions that are coming up, you know, some of those address
3 that specifically.

4 You know, the point that I want to make, and I think that
5 everyone will agree with me, is that one of the things I think
6 that really should be a priority for the FDA is requiring user
7 testing before these communication messages are released. And
8 I know that there are a lot of barriers to doing that, and it
9 doesn't fit, you know, really within the current paradigm for
10 doing things, that you run out of time, you know, at the end.
11 But in the same way that you wouldn't release drugs without
12 testing them, messages should not be released without testing
13 them. And so I know that that's not going to happen today or
14 tomorrow, but I think that it's something that really should be
15 prioritized, and maybe we need a new paradigm.

16 Does anyone disagree with that at its essence?

17 Dr. Spong.

18 DR. SPONG: Thanks. I think it would be ideal to be able
19 to test all kinds of things, and testing communication and
20 making certain that what it gets across is what you want it to
21 get across is ideal. That said, I don't think we can wait to
22 test messages, and I don't think we have to -- I don't think it
23 would be appropriate to require that they are tested before we
24 put them out there because there's already things out there,
25 right? The labels are already out there, people are already

1 using it. What we're here to do is to try to figure out how to
2 optimize that label, and part of that testing is what we're
3 required and are doing today and what was presented yesterday
4 morning, where people commented and had done surveys and
5 communications about how effective is that current label and
6 how can it best be tweaked. I think things can always get
7 better, and it is lovely to be able to test them and make
8 certain that it's working, but it's only going to be useful in
9 that group that you're testing it in. It doesn't necessarily
10 mean it's fully applicable. So I think you could say it would
11 be ideal to test things, but to require that at this point, I
12 think, would really be unfortunate.

13 I think one of the things we've talked a lot about,
14 uncertainty and uneasiness with the data, you have to
15 understand, pregnancy is full of uncertainty, and we are often
16 counseling women about things. I think a great example is
17 Zika, right? Well, we're counseling women, and we don't have
18 the information because the information isn't there yet. We're
19 used to that. We need to give the FDA the best information we
20 can on how to optimize this label, but I'm uncomfortable saying
21 that it must be tested before it gets changed.

22 DR. BLALOCK: Dr. Slovic.

23 DR. SLOVIC: Yes. Certainly, testing takes time and
24 effort and money, but you can do this relatively quickly, and
25 you can do it at different levels. One, you could test the

1 general concept of this PLLR kind of the structure and some of
2 the key challenges in communicating, like when you don't have
3 human data and you're relying on animal data when there's
4 inconsistency. There's a few basic elements here. My
5 intuition based on other work that's been done, going back to
6 when they first suggested that radiologists ought to be studied
7 as to how they were diagnosing, you know, things from x-rays
8 and they found, you know, a wide range of disagreement among
9 radiologists looking at the same film and they found
10 unreliability, lack of validity. My intuition is that this
11 PLLR, as it's presently designed, is so difficult to
12 communicate and understand that it will be very quickly seen
13 that this is not an adequate communication device. Now, I may
14 be wrong, but you could do that -- sure, you have to go ahead
15 at the moment and do something, but I think a first priority
16 that could be done relatively quickly is to just challenge the
17 basic structure and concept of this from the standpoint of
18 reliability and validity.

19 Now, validity is a question of, you know, who decides
20 valid, but you could have a committee that could have cases
21 where there is a general agreement as to what the right -- what
22 the best advice would be based on this and see the extent to
23 which it comes true with the particular design. Now, there are
24 many different PLLRs; you'd have to select, of course, some
25 prototypical cases, but I would put this as a very high

1 priority.

2 DR. SPONG: And if I can just comment to that. I think
3 the FDA is required, and I'm going to ask you guys to weigh in
4 here, I think these -- at least at the moment, they're required
5 to have these elements. And so, sure, you can say that maybe
6 these elements shouldn't be there, but right now they're
7 required, if I understand correctly, and what we're asked to do
8 here is to provide information on how do we optimize them. And
9 I appreciate that yesterday there was information given on what
10 worked and what didn't work, and I think what we're here to do
11 is to try to figure out how best to advise and give some
12 thoughts and recommendations on how to optimize it. But if the
13 FDA could weigh in on that.

14 DR. BLALOCK: Okay. I was just going to say, again, I
15 think that maybe I got us a little bit off track rather than
16 getting us on track, so let me just kind of synthesize. And,
17 Dr. Nahum, I've got your name from yesterday, so let me delay
18 it for just a second and try to get us back on track again.

19 What I hear, I was expecting universal agreement. I
20 definitely did not hear that, okay, so there's not universal
21 agreement on that. There's definitely dissenting voices.
22 Let's go back to -- those are the three points that I had to
23 make. Let's go back to the list that I had yesterday, and
24 we'll add everyone that we've added today and go back to the
25 broader questions. If you do have a comment on the user

1 testing, you know, you can interject it there, but again, I
2 think that that is probably not the primary focus here. And
3 when we get to Question 2, it gets more specific about the
4 effectiveness of the PLLRs, and we'll have lots more comments.
5 So I've got a fairly long list. If people's comments are no
6 longer relevant, feel free to pass because I am -- I'm anxious
7 to get to Question 2 as quickly as we can.

8 So Dr. Nahum.

9 DR. NAHUM: Thank you. Dr. Nahum.

10 So I'm going to go through these in order for Question 1,
11 because I have some notes about this, and first with regard to
12 risk perception. There was a discussion yesterday that I
13 thought was very interesting and robust, but I'd like to make
14 some specific suggestions about this, and there are two
15 concepts here that I haven't heard brought up yet.

16 In terms of risk, I think the points that were made by
17 Dr. Slovic yesterday are very well taken, but there are two
18 ideas that have been used in the past. One is number needed to
19 treat to get an effect that is desired, and the second is the
20 number needed to harm, in other words, how many people will be
21 exposed to a particular drug with a particular underlying
22 condition to result in some condition of harm, and both the
23 effectiveness metric and the harm metric need, of course, to be
24 defined in order to come up with those numbers. But they are
25 both condition specific, and I would like to suggest that we at

1 least think about whether or not those two elements should not
2 be included routinely in risk perception sort of labeling.

3 With regard to the second question, Subpart B, this is
4 about interpretation of uncertainties of available data, and
5 we've talked a lot about this. But the one thing I haven't
6 really heard a lot about is the idea of individual risk
7 tolerance, and this is something that, you know, is embedded in
8 everybody's decision-making process, how much risk they are
9 willing to accept. And, of course, there are different, you
10 know, sorts of compendiums of data about this, but I think we
11 need to bring this up as a concept, at least, in labeling.

12 I don't have anything else to say about Subpart C, but I
13 do about Subpart D, and this is about benefit-risk
14 considerations. And we talked a lot about risk of
15 teratogenicity, and I think that's all very well and good and
16 certainly very valid and one of the reasons we're here.

17 But the thing that we haven't talked about is that many
18 medicines have more than one indication, and unfortunately,
19 those indications are not necessarily for commensurate types of
20 underlying diseases. I can, off the top of my head, think
21 about an antibiotic or two antibiotics that had six different
22 indications in their label, and I would tell you that the
23 severity of the different conditions for which they were
24 approved to treat is vastly different. Now, the risk profile
25 for the treatment by the antibiotic may not be terribly

1 different, but this enters into the benefit-risk calculus, and
2 each of those are really condition specific. So that's
3 something that I think the FDA needs to take into consideration
4 when coming to recommendations or assessments with regard to
5 benefit-risk. They are not necessarily uniform for particular
6 products across all indications.

7 And then, lastly, I just want to echo what people have
8 said about Subpart E. I practiced for quite a while, and I
9 would say that I think that the general perception of
10 practitioners is that the risk of omission in terms of
11 medicolegal liability is relatively low as compared with the
12 risk of commission. In other words, if you prescribe something
13 and there is an adverse outcome and it is a known risk of that
14 particular product, that will almost certainly come back to,
15 you know, require some degree of explanation on your part,
16 whereas if you omit some kind of treatment and say that you did
17 not believe in your benefit-risk calculus that it was indicated
18 for a particular patient, then typically the medicolegal risk
19 is considerably lower. And I think other people have said
20 that, but I'm not sure they put it in the framework of omission
21 and commission, so I thought I'd say that. Thank you.

22 DR. BLALOCK: Dr. Winterstein.

23 DR. WINTERSTEIN: Yeah, I would like to elaborate a little
24 bit on the whole contextualization discussion, and that fits
25 very well in what Dr. Nahum just talked about. The idea to

1 contextualize risk for patients is a very important one, and
2 it's clear that physicians or any healthcare providers are in a
3 good position to do that. I'm not sure the FDA is, and for
4 similar reasons that were just touched on, and that is that
5 that benefit is very variable. And I would like to share an
6 example.

7 So imagine an antibiotic that is used either to treat a
8 urinary tract infection or an endocarditis in a pregnant woman.
9 The decision is very different, and the benefit is very
10 different, and the FDA would have no means of providing the
11 variety of different scenarios that would need to be considered
12 in order to make a risk-benefit decision. For that particular
13 patient, the antibiotic is the same.

14 The other example that I have is an approval decision or a
15 discussion that we had surrounding topiramate for the treatment
16 of obesity. Topiramate was approved as an anti-seizure
17 medication many years ago, with no REMS, no medication guide,
18 even though it causes cleft palate. The idea here was that
19 these are patients who are treated by neurologists, the
20 underlying disease is difficult enough, risk-benefit decisions
21 are clear, therefore, there is no additional risk communication
22 needed other than what is in the label. Topiramate is being
23 used off label for everything under the sun from -- well, we
24 all know it. So the communication related to the context of
25 treating seizures is completely irrelevant considering that the

1 drug is used, in its majority, not for the treatment -- not for
2 the indication that it was originally approved for, and now we
3 have an approval decision for the use of the same drug in
4 combination with phentermine to treat obesity in young women.
5 So that particular approval decision actually ended up
6 including a REMS where patients do need to be informed, and so
7 there we have a medication guide for the same drug for two
8 different indications.

9 So, you know, considering an example like that, I think
10 thinking about how to contextualize in the label is almost
11 impossible and impractical and will very quickly be very
12 outdated.

13 DR. BLALOCK: Dr. Lee.

14 DR. LEE: So when this slide says decision making by the
15 healthcare provider, I see the workflow in terms of two broad
16 categories. The first is pruning the list of therapeutic
17 options. And I think this goes back to the survey result that
18 Dr. Namazy presented, where 62% said it was not helpful in the
19 new labeling form because this -- although this new narrative
20 format makes it easier or more inclusive to have that
21 discussion about whether to take the drug or not take the drug
22 and the risks associated with it, I don't think it's as helpful
23 in terms of pruning down the list of therapeutic options down
24 to the safest ones, although that might be an area for
25 discussion.

1 So the other thing that was presented was, I guess, the
2 Quick Take option where you get a summary of very -- a
3 one-sentence summary of what the study results implied and then
4 having that at the beginning of the narrative so that if a
5 physician wanted to kind of glance across the list of
6 therapeutic options to make a decision choice and narrow it
7 down to two or three, I think, would be helpful. But I think
8 having or requiring the physician to look at and read
9 everything for 20 different drugs, I think it's going a little
10 bit too far and making it more difficult than being helpful.

11 DR. BLALOCK: Dr. Spong.

12 (Off microphone response.)

13 DR. BLALOCK: Dr. Slovic.

14 DR. SLOVIC: Yes. With regard to the benefit-risk
15 tradeoff, I was just thinking that along with the challenge of
16 uncertainty, that when there are, say, two drugs that would
17 treat a certain condition like seizures, epileptic seizures,
18 and one is an old drug that's been around a long time,
19 presumably then you have the benefit of the experiential
20 knowledge of use of that drug. One's a newer drug that I
21 wonder if anything can be said about the -- you know, go first
22 with the older drug and see if it works before the new drug, in
23 the face of uncertainty.

24 DR. BLALOCK: That's a very good point, and I thought
25 about that as well, sort of something that would indicate the

1 track record and the number of exposures.

2 Dr. Kreps.

3 DR. KREPS: Thank you. I'm so glad you came to me because
4 I was -- I had something that kind of hit my brain the other
5 day, at the end of the day, and I thought it was really good,
6 and I was really frustrated that I didn't get a chance to kind
7 of lay it out. So my frustration actually works well because
8 I've been germinating about it and thinking about it, and I
9 think I've got an even better focus now. Hopefully, this
10 sounds as good to you as it did in my head, so I'm looking for
11 some kind of intersubjective test on this.

12 I was thinking about this from the sense of sense making
13 and information processing, and there's a model that I use a
14 lot that really makes a lot of sense to me; it's a model that
15 came out of psychology by Karl Weick, and it's called Weick's
16 Model of Organizing, and he basically uses a principle of
17 information processing to guide the use model, and it's called
18 requisite variety, and requisite variety says that you can
19 respond to the same issue the same way. It doesn't work when
20 you have relatively simple, unequivocal issues. You can apply
21 rules, and they work very well. And it appears to me that the
22 FDA wants us to help them develop good rules for, you know,
23 providing labels, and I think that will work well for the drug
24 information that's not equivocal, that it's pretty clear that
25 there's good evidence and you can apply those rules. However,

1 when you try to apply these same rules to more equivocal
2 uncertain information, it falls apart, and you end up making a
3 lot of mistakes, and it's very frustrating, and it doesn't
4 serve things well, and it actually causes more problems.

5 So Weick suggests that instead of applying the rules, he
6 basically says when you're doing that, you're violating
7 requisite -- the principle of requisite variety. You're trying
8 to do things that don't really make sense. And so what he says
9 is that you need to kind of find ways of processing the
10 equivocal information, the drugs that you don't have good
11 information about, so that you can eventually refine it so that
12 it's clear enough that you can apply those rules.

13 The good news is that you don't have to always do this,
14 that it's a process where you learn things in the processing of
15 the equivocal information. That becomes what he calls
16 organizational intelligence that guides you in the future, and
17 so then you can apply rules more freely in the future and you
18 have precedent for how to do things.

19 So what I'm suggesting, the sort of nice thing about this
20 is, in my head, is that it has some pretty clear policy and
21 practice implications, is that you identify right away the
22 drugs that have the clearest, you know, evidence and apply
23 those rules and do that and then take a closer look at the
24 other ones that are more equivocal and then develop some
25 systems for trying to make them less equivocal, make them more

1 certain, make them more clear, either -- gathering more data.

2 What I suggest that we do is that we interact and we
3 discuss these with knowledgeable others or with reference
4 sources, so the idea that Beth came up about are there other,
5 you know, systems out there in Europe or other countries that
6 you could consult to try and provide other sources of
7 information is a great way of resolving and processing
8 equivocality. Another way would be to enlist experts,
9 pharmacological experts or practitioner experts, consumer
10 experts who would provide you with feedback about what they
11 know about those drugs that are not really clear to help you
12 make sense of them. And maybe even have a standing board where
13 you would use them on an ongoing basis to make your life
14 easier, so that you can apply the rules clearly and do that.
15 And you can try and identify, either yourself or with others,
16 but I suspect you can probably do it yourself because I know, I
17 can feel your pain that there are drugs out there that are
18 driving you crazy that just don't -- are not easily classified,
19 and you know which ones they are. You can kind of hold those
20 and then subject them to a process. It doesn't mean you have
21 to kind of take them off the listing right now, but you can
22 refine them and improve them until you feel more comfortable
23 and in the meantime develop better systems and regulations and
24 rules. And maybe we'll come up with some really good
25 strategies for terminology to help you with that here, but you

1 can use that in the process to try to make this easier.

2 But what I'm really worried about is the -- you know, the
3 force, because there's the demand to get this done. And this
4 happens all the time in organization. We have the demand to
5 get it done, get the product, run the campaign, you know, sell
6 the product, get the list of drugs that we end up -- even
7 though it doesn't fit and the process doesn't work, we do it
8 anyhow, and it's very uncomfortable, it doesn't feel right to
9 you, and it also doesn't work very well, and it may end up
10 complicating the prescribers' jobs in terms of what to do.

11 So what I recommend is that you try to, you know, resist
12 that violation of requisite variety by coming up with some
13 systems to triage and segment the different drugs into
14 categories, easily communicated/not easily communicated, and
15 then figure out ways of trying to transform those not easily
16 communicated drugs into a state where they are more easily.
17 Some of that can happen quickly, and some of it may take a lot
18 of time, but in the meantime you're going to do a better job;
19 you'll have better communication, and it will be utilized more
20 effectively.

21 DR. BLALOCK: Thank you.

22 Let's see, Dr. Robotti.

23 DR. KREPS: You're not going to let me get feedback on
24 that?

25 DR. BLALOCK: I'm worried about time.

1 DR. KREPS: Okay, all right.

2 DR. BLALOCK: I'm really worried about time.

3 Dr. Robotti.

4 MS. ROBOTTI: Hi, this is Suzanne Robotti.

5 I'm not really happy about the phrasing in the question,
6 "the healthcare provider decision making and patient
7 counseling." It doesn't seem to really be emphasizing the
8 shared decision-making process.

9 I do believe that there's data out there that we're not
10 using. And forgive me, I am not an expert, but I do know that
11 there are drug pregnancy registries that have been out there
12 for years, and I wonder if maybe that information shouldn't be
13 listed, what information we do have shouldn't be listed right
14 on the PI. A patient hearing that 5,000 women have gotten this
15 drug over the past 8 years that it's been on this registry, or
16 5,000 women have registered on this registry over 5 -- over
17 however many years, and there have been, you know, a baseline
18 equivalent of the background, you know, congenital anomalies.
19 I hope that was in English; I hope I said that right. But,
20 basically, it would help that patient to know that, you know,
21 X amount of other women have taken this drug and, you know,
22 there were zero two-headed babies born and, you know, the
23 number of defects were either in line or not in line. Even
24 though you may need 20,000 people to study to find those
25 defects, it would still give some level of context, I believe.

1 Pre- and post-data testing or pre- and post-testing of
2 these messages, we've already got a study that says it's not
3 working, it is difficult, the doctors don't like it, it's not
4 doing its job. What more do you need? Honest to God. The
5 compromises I am seeing on this Committee offend me. We don't
6 have data. Women have been getting these drugs for decades.
7 We've got pregnancy registries, we've got people already taking
8 the drugs; study the people who volunteered to take the drugs,
9 get the information. We have studies that are not
10 reproducible, yet we accept the data -- or haven't been
11 reproduced, we accept the data, and now we don't want to test
12 the message. The compromises here are too many. People get
13 harmed. People don't get the care they need; people get too
14 much care.

15 Forgive me for being highly sensitive to this. As a DES
16 daughter, I know what happens when people are given a drug that
17 wasn't correctly tested, that wasn't correctly communicated,
18 and can cause generational issues. I don't want that to happen
19 again, nobody wants that to happen again, but we're treating
20 these people in the dark, and that's not fair and they don't
21 know it. These patients need to know that you guys are just
22 guessing.

23 DR. BLALOCK: Thank you.

24 Dr. Rimal.

25 DR. RIMAL: I want to come at it from a slightly different

1 perspective. I feel, you know, going back to our charge and
2 the PLLR --

3 DR. BLALOCK: Dr. Rimal, if you have something to respond
4 to this question in front of us, that's great. But I'm really
5 trying to move us on to the next question, which is, you know,
6 really relevant to the charge. So if you can really -- do you
7 have something to respond to these questions?

8 DR. RIMAL: I don't think I would be speaking if I do not
9 think I did.

10 DR. BLALOCK: Okay.

11 DR. RIMAL: So the PLLR asks us, for the charge of the
12 Committee, to think of the prescribing person, the provider, as
13 the target audience. And that, I think, is a manifest target
14 audience, whereas the true target audience, the people we
15 really care about, of course, are the pregnant and lactating
16 women. In the context of what we're talking about, I see two
17 target audiences. One is how does the FDA communicate with the
18 providers? And the second is how do the providers communicate
19 with their pregnant and lactating women? And related to that,
20 and I believe where the focus of our discussion should be, is
21 how do we empower the FDA to empower the providers to be
22 effective communicators? Yes, they're dealing with
23 uncertainty, they're dealing with a lot of unknowns, etc.,
24 etc., but we haven't really focused on how do we empower the
25 physicians to be effective communicators.

1 And I think Dr. -- I'm sorry -- Robotti just talked about
2 shared decision making, and that is a wonderful scheme that we
3 can use to promote that, to empower the physicians to be
4 effective communicators and to help them in that process so
5 that women, at the end of the day, are making the decisions
6 themselves, but they're being empowered by having been provided
7 effective communication.

8 DR. BLALOCK: Thank you.

9 Dr. Baur.

10 DR. BAUR: I'm waiting for Question 2.

11 DR. BLALOCK: Okay. Dr. Goldman.

12 DR. GOLDMAN: Okay. Oh my. So a couple of things, I
13 guess. One is I thought that we were the user testing, like I
14 thought that was sort of the point of the panel was they've
15 been doing it, there's 500 labels done, and we are the focus
16 group, we're here as communication experts, prescribing
17 physicians, so that's kind of our job here, was my
18 interpretation. I don't know that we need more than that.

19 I wanted to just offer, and I made some offhanded comments
20 and was told sort of the importance of sharing this, but I
21 think that we are operating in the dark, and we know that we're
22 operating in the dark, and as such, we are making choices of
23 omission. And I just want to share with you, I think, to bring
24 this back to kind of why we're here, what is actually
25 happening.

1 So the disease that I care for is multiple sclerosis, and
2 these women present between the ages of 20 and 40. Often they
3 present immediately postpartum, and then they go on drugs that
4 are all biologics and without any clear data regarding
5 pregnancy. And, predominantly, the field that I practice in is
6 men. And so these women make two critical choices in their
7 life. Their first is whether or not to have a child, and then
8 the second is whether or not to breastfeed that child, and in
9 both of those decisions, they are forced between choosing to go
10 back on a drug that keeps them walking, talking, and caring for
11 the life that they just created, or not going back on that drug
12 and risking the ability to be a mother, right? So these are
13 forced choices, and this is what's happening every day.

14 In another context, and in conversations that I had with
15 other advocates, some of these women are not making the choice
16 that I deal with, which is the ability to walk versus, you
17 know, having a second child, but potentially they're killing
18 themselves because they don't have their drug because the label
19 says we don't know if it's safe and the doctors are not giving
20 those drugs. So we are already operating, these women are all
21 living in the dark, and our job here is to, through whatever it
22 is, a little crack in the baseboard or opening of the shade,
23 right, for every therapeutic room we're going to have a
24 different amount of light to bring in. But what we want to do
25 is bring in whatever amount of light we have so that we can

1 guide these people, and for each one it's going to be
2 different, but that is our task here.

3 So I just wanted to share with you, I think, the other
4 side of this. I have seen women who were told they had to stop
5 their MS therapy 3 months before they tried to start getting
6 pregnant, which meant that they were off drug for 9 to 12
7 months total, who had a catastrophic event, ended up in a
8 wheelchair and not pregnant and unable to care for their first
9 child. This is the reality of what's happening. These are
10 30-year-old women, 40-year-old women, who are no longer able to
11 work, who require full-time care, who then have their children
12 who require full-time care. I mean, just to bring the scope of
13 this out.

14 And the last story that I'll share is I was at a national
15 meeting where three thought leaders in my field were speaking
16 to a room of physicians about caring for patients with MS, and
17 they said to their colleagues, with all of the cognitive
18 authority that we bestowed by putting these men on the stage,
19 that all they needed to tell their patients to do was to nurse
20 for 3 days because the colostrum was all that mattered and then
21 immediately put them back on their drug and don't let them
22 breastfeed.

23 So this is the kind of thing that's happening every day
24 out there because every label for every drug I prescribe says
25 nothing is known about lactation. And I have some comments

1 about that in Section 2. But I felt compelled to share, kind
2 of, the real world.

3 And then the last thing I wanted to comment on is that I
4 agree that there is a lot of information, potentially,
5 regarding pregnancy, and I spoke about this with Dr. Yao, and I
6 wanted to just put it on the record that I think there is an
7 opportunity for the FDA to sort of codify how that information
8 is given from pharmaceutical companies and to look at sort of
9 class effect; so you may have five people on one drug, five
10 people on another drug, but they're all triptans or something
11 where we can start to pool these together.

12 But the problem that we need to recognize is that that
13 requires time and money, and right now, the structure that we
14 have in place depends on nonprofit and NIH funding to collect
15 this data. We do not have a system in place for our government
16 to collect data on these women, and so that requires more than
17 is what is in the scope of this. Our entire system is not
18 designed to capture and collect these women, and to say that we
19 should or that it's important is not going to get us there.
20 We'd have to completely make efforts to restructure how we do
21 clinical research related to these issues.

22 DR. BLALOCK: Okay. I've got four more comments here, and
23 then we really need to close the discussion. And, again, if we
24 can kind of focus on, you know, this question. The names that
25 I have are Dr. Lyerly, Joniak-Grant, Tracy, and Dieckmann, so

1 I'll start with Dr. Lyerly.

2 DR. LYERLY: Thanks. I'll try to be brief. So three
3 quick points: The first has to do with this question about
4 testing, and I agree that there is some evidence, some good
5 evidence to show that it is not working. But I think one of
6 the problems going forward -- I like evidence. I think one of
7 the problems going forward testing the approach any more is
8 that there seems to be a lack of uniformity of language used in
9 the labels that we've seen so far, and I totally understand the
10 move to try -- the efforts to try to move away from a sort of
11 major interpretation of what is said, but -- and I think maybe
12 this comment can be brought later, but I think testing may have
13 a role if we get to a point when we have more uniformity of
14 language, if that becomes something that the Committee decides
15 is an important thing to do.

16 The second is -- has to do with two points that were on
17 the list here. One has to do with this question of omission
18 and commission, and I think there is clearly a view out there
19 that there is a higher risk of being sued or having liability
20 -- and Dr. Spector-Bagdady could speak to this, I imagine -- by
21 giving a drug but then by not giving a drug. But you can
22 imagine that somebody who is debilitated by a physician's
23 refusal to prescribe a drug in which there is no data could
24 also result in a lawsuit, and if you look at the delivery
25 context, the reverse is true. So the decision to do a

1 C-section, people feel like it's less risky for them, as
2 physicians, than the decision not to do a C-section. So it
3 flips. So I guess there's a real question about whether this
4 is a risk distortion on the part of physicians or if it's
5 really true that these legal liabilities differ depending on
6 whether or not you prescribe or don't, and that might be
7 relevant to the ways that we think about the label.

8 The third point is that, again, I really appreciate this
9 emphasis on shared decision making and, really, that it's
10 healthcare provider and patient decision making, and I think
11 there needs to be a role for emphasizing patient values here,
12 and where risk assessments for an individual patient in her
13 life context might be different depending on what the risks are
14 and what -- how she thinks about them. And, again, sort of,
15 you know, recommendations that say this drug isn't recommended
16 for XYZ, it may put women in a place of not having access to a
17 drug that they would actually value, that would be consistent
18 with their own risk-benefit calculus. So maybe there is a role
19 for emphasizing that this is a decision between the patient and
20 the provider, and patients' values need to be considered in the
21 risk-benefit calculus as well as these issues of background
22 risk.

23 DR. BLALOCK: Dr. Joniak-Grant.

24 DR. JONIAK-GRANT: I'll be brief. I think looking at the
25 benefit-risk, one thing we have to remember is that sort of

1 many women and sort of larger society -- and providers don't
2 consider minimizing pain or discomfort or emotional stress as a
3 benefit, and if they do, it's often underemphasized.
4 Discussions center more on long-term, can't-be-undone impacts,
5 which are obviously important, but one's comfort is often not
6 seen as important as a neonate's health, sort of I can suffer
7 even in the extreme for the short term to ensure the long-term
8 health of my baby. So I think if we're talking -- as we talk
9 more about the label, we need to be mindful of sort of
10 suffering and how that fits in with the benefits of alleviating
11 suffering.

12 And I think one thing that's important about to recognize
13 the importance of suffering, when I look at someone like the
14 fibromyalgia groups or people with neuralgias, there are plenty
15 of people that say I can't, I can't manage without these drugs,
16 I'll suffer too much, but we don't know how risky they are,
17 therefore, I'm just not having kids. And I see that over and
18 over and over again. Or more kids.

19 And then just in reference to -- with the uncertainties, I
20 think we want to be mindful that there's sort of an assumption
21 here that the prescriber knows all about the diagnosis, the
22 medication they take, the benefits of said medication, how that
23 works with other medications they're taking, and the impact
24 this will have on pregnancy and the fetus. And this can be the
25 case, but often it's not. Often you have a group of

1 specialists, your primary care provider, an OB/GYN, and you're
2 sort of trying to move between all of them, who often don't
3 speak to each other, and kind of carrying information back and
4 forth to sort of figure out what you need to do while you're on
5 a time clock and you think, gosh, could I be damaging this
6 fetus right now or this embryo, and I don't have time to, sort
7 of, sort this all out.

8 And so I think one thing that we would be mindful of when
9 writing the label is sort be mindful that the doctor might have
10 limited knowledge about the medication or what it treats or
11 about pregnancy. And this is where Dr. Lee said where the
12 Quick Take option could be really useful, especially if it's
13 tied into what -- how you would use it given a certain
14 indication. You know, not as strong language, but we recommend
15 this in light -- if you're taking it for this indication. The
16 evidence, you know, isn't maybe very strong if you're taking it
17 for that indication. So that could be something that could
18 kind of help bridge the gaps.

19 DR. BLALOCK: Thank you.

20 Dr. Tracy.

21 DR. TRACY: Yeah, I promise to be brief also. I think
22 going back to the shared decision making, the question really
23 is about healthcare providers. For me, the concept or the idea
24 of a shared decision is really an ethical point, and to me,
25 it's almost a prerequisite to the whole process. Maybe it

1 isn't for some, but it certainly is for me. So, really, a lot
2 of what we're talking about is how do I, as a provider,
3 participate in that discussion? And I kind of struggled with
4 sort of the way we -- or the mechanism that we have to kind of
5 convey that data, and I think that's a big piece and really,
6 mostly we'll cover that in Question 2, which is why I'm going
7 to stop it.

8 I do have one clarifying question, and it's really pretty
9 easy. We talk about this data, this data, this big data.
10 Where does this data come from? I mean, is it a shared
11 opportunity between various agencies? But we talk about the
12 data, and I know we have the registries and such, and I know we
13 have VAERS and things like that, but which data are we talking
14 about? And is that data shared between agencies?

15 DR. BLALOCK: Is that a question that you did want a
16 response to?

17 DR. TRACY: I'd like the FDA to just help me out.

18 DR. BLALOCK: Okay, a brief response.

19 DR. YAO: It is shared, and we have a group that's tasked
20 by HHS through the legislation, 21st Century Cures, that
21 Dr. Spong actually is heading, that is tasked to look at the
22 federal sources, bring all the stakeholders together, and
23 figure out the best way to improve the quantity and quality of
24 information in pregnancy and lactation.

25 DR. BLALOCK: And Dr. Dieckmann, and then I'm going to

1 call Question 1 to an end.

2 DR. DIECKMANN: I'm just getting in line for Question 2.

3 DR. BLALOCK: Oh, good. Cool. Okay, let me call Question
4 1 to an end because we are probably about 30 minutes behind
5 schedule already, and I know that folks want to get -- the FDA
6 wants to get to the other questions. So, you know, if I am
7 abrupt with folks, you know, I hope that no one will be
8 offended. I definitely appreciate all of your feedback. I'm
9 just trying my best, you know, to keep it on time, keep us on
10 the regular schedule.

11 So can we put Question 2 up there? And I don't think I'm
12 going to try to summarize what we've -- I think the speakers
13 have been -- the members of the Committee have been pretty
14 clear in their comments. Do you feel like you've gotten what
15 you need on Question 1?

16 DR. YAO: Yes.

17 DR. BLALOCK: Okay, great.

18 (Laughter.)

19 DR. BLALOCK: Okay. And here's Question 2, and I'll read
20 just the beginning of this. So discuss how effective PLLR has
21 been in conveying safety evidence in pregnancy that's useful to
22 benefit-risk decision making. Include in your discussion the
23 following. And you all have this in your packets as well.

24 We're going to try to cover 2A and 2B at the same time.
25 So 2B is consider the following situations and discuss best

1 practices to communicate the following in drug labeling, if
2 appropriate. And these four different situations -- and we're
3 going to have to toggle back and forth between these two
4 slides, so it's probably best if you can get the hard copy in
5 front of you as well, and these four situations are basically
6 based on the amount of evidence and the amount of uncertainty
7 that's available; at least, that was my read.

8 So let's go back to 2A and I'm going to be very, very
9 brief here, but just say that I've already heard -- and I
10 really appreciate, you know, the comments from Dr. Robotti --
11 that there's already evidence that clinicians are having
12 problems with these, so that's noted for the record. And I
13 think that's all I'll say, and I'm going to leave it to you all
14 to discuss further, and the first person that I have on the
15 list is Dr. Baur, who waived her turn a few minutes ago.

16 DR. BAUR: So Cynthia Baur.

17 So like Dr. Kreps, I was doing some ruminating, and it
18 seemed to me that the -- we actually have two information
19 problems that got a little conflated yesterday, and so there's
20 the information problem about "how," right? So that is our
21 very specific charge and I would argue that there's plenty of
22 people in this room and in the literature that can give you
23 "how." We all have our own tools and techniques for "how," and
24 you might even run a little friendly competition among us to
25 have us give you different options about "how." But I think

1 this Question Number 2 sort of leads to your second
2 informational issue, which was addressed by some of the
3 presenters yesterday but is not explicit in the way you frame
4 the question, and that's that you have what has been a very
5 effective heuristic that you are trying to replace, and that
6 heuristic is your A, B, C, D, X system.

7 And there's plenty of decision scientists around the table
8 who know a lot more about heuristics than I do. But the issue
9 is, is that your new format is not really functioning well as a
10 new heuristic. And so you've got this heuristic that people
11 are very attached to and that, I would argue, embodies the
12 essence of a memorable system because it's based on the
13 alphabet, and what's more memorable than the alphabet, other
14 than our numbering system? So this framework that you've got,
15 I think you've already answered your own question by saying
16 that it can't be as effective in its current format because
17 it's just not memorable for people, and you're trying to
18 displace something that people are extremely attached and
19 comfortable with.

20 So I think if we go back to -- and I think the other thing
21 that got conflated yesterday is our discussion around
22 uncertainty, because I think Question 2 then really brings us
23 back to what you're asking us is conveying safety evidence, and
24 that's different than uncertainty because, as everyone's
25 addressed, there's a lot of inherent uncertainty.

1 So I think what my recommendation to you then is, is that
2 addressing (i) through (iv) here really becomes a challenge in
3 figuring out what is that new memorable heuristic that you are
4 then going to have to create a campaign around itself to
5 replace that A, B, C, D, X system, and that all of these
6 things, then, are going to be in that context of what's going
7 to make that information much more memorable, because I think
8 you heard yesterday, and you've heard from the Committee
9 already, that the text-based system is inherently going to be
10 much more difficult than this alphabet-based system that people
11 found very easy to interpret. So that, to me, I think -- you
12 know, there were these sort of two different types of
13 informational problems that got a little confused yesterday,
14 and I think that Question 2, when I looked at it again today, I
15 thought, aha, this kind of really does separate them and says
16 that it's -- I think it's really moving to this text-based
17 system that's creating a lot of your inherent problems here.
18 And so I think it's asking us for recommendations about symbols
19 or visuals or other things that are going to reduce the
20 cognitive load of your new heuristic.

21 DR. BLALOCK: Thank you. Well, I'll just say -- because I
22 was going to underscore that. You know, I definitely
23 appreciate that there were problems with the old system, but I
24 do wonder a little bit if you've thrown the baby out with the
25 bathwater because maybe, you know, the gist was people thought

1 they understood the letters. They didn't understand in the way
2 that you wanted them to, but I worry that something was lost in
3 getting rid of them.

4 Dr. Yao.

5 DR. YAO: Thank you. So, Dr. Baur, thank you for your
6 comments. I think you are very close to the conundrum that we
7 face, but before I -- I just want to clarify that the old
8 system was horrible, okay? Number one, all the things that
9 Dr. Goldman talked about, patients who decided to terminate
10 pregnancies or patients who didn't get access to drugs that
11 would help them was exactly the problem with the old system.
12 So it was incorrect, it was inaccurate, and people
13 misunderstood the system and used it incorrectly. So I don't
14 think there's any way -- and that was 20 years in the making of
15 this final rule that said it's wrong, let's change it. What we
16 have now is a system that's -- based, largely a framework of a
17 narrative which Dr. Baur has described as not necessarily a
18 great way to convey information in the context that we learned
19 about yesterday, which is we got to make these decisions, we
20 got to help these patients. So what I'm asking the Committee
21 to do, thank you for sort of honing it in, is that we're not
22 going back to the old system because the old system is bad.
23 Let's make no -- let's not try to call it anything that it
24 wasn't. It was a bad system, it was wrong, and we had many
25 Advisory Committees and many task forces that told us that.

1 But what can we do in the current framework of the rule, which
2 is promulgated by FDA, and they say -- and it says that
3 sponsors must follow this. In that framework, how can we
4 improve it such that we get to communicate these kinds of
5 information appropriately? Tell us. We'd love to hear how we
6 can do that better.

7 DR. BAUR: And just for the record -- this is Cynthia
8 Baur. Just for the record, I'm not endorsing the old system.
9 I'm just commenting on its utility for your end users because
10 they thought they understood it and it was based in something
11 that was highly memorable for them. I mean, it did lead to all
12 the problems you identified. But I think that there is the
13 principle, the technique of layering of information that has
14 not come up here, and I think if that were taken to be a core
15 principle of your approach, you could deal with a lot of the
16 issues in the way that you -- the examples that you showed us
17 yesterday. So I'll stop there because I know other people have
18 things to say.

19 DR. BLALOCK: And I also want to clarify my comment as
20 well. I was not suggesting going back to the old system, but
21 before you throw something away, you know, it's useful to think
22 were there good things about it? And, clearly, there must have
23 been good things about it because people were using it. They
24 just weren't misusing it. That deserves some consideration, is
25 what have we lost with the letters that people liked, and how

1 can we incorporate it into this new framework?

2 So Dr. Dieckmann.

3 DR. DIECKMANN: Thank you very much. Nathan Dieckmann.

4 I'm going to kind of take off on a lot of comments that
5 we've made throughout the last couple days -- Dr. Baur,
6 Dr. Cappella, Dr. Lyerly, Dr. Lee, we've all made comments
7 along these same lines, and the first thing is about the
8 general uncertainty issue here, that I think all agree that
9 it's probably not going to be really helpful for you to be --
10 us telling you how to present confidence intervals around odds
11 ratios that are taken from large clinical trials or something.
12 The main issue seems to be more about the strength of the
13 evidence or the quality of the evidence that's actually being
14 brought to bear on any of these issues.

15 And just reading over the risk summaries that you have
16 here, you do address it in a certain way, but I can see how
17 you're somewhat leaving it up to the prescriber to actually
18 pull information as to the quality of the evidence by just
19 reading the narrative summary. And it seems like there would
20 be a relatively straightforward way -- Dr. Riley talked about
21 some possible ways, not in the specifics, but in general, of
22 just coming up with verbal labels of the quality of the
23 evidence or the strength of the evidence for any individual
24 hazard claim in here. It seems like it would be a lot easier
25 for every hazard claim made if quickly, right next to it, there

1 was some kind of labeling system. And I'm not saying we're
2 going back to the other labeling system, which seemed to kind
3 of conflate risks; it kind of conflated the probability of a
4 hazard with the strength of the evidence at the same time, so I
5 can see why it's confusing. What I'm suggesting here, though,
6 is more about a labeling system specifically for the strength
7 of the evidence, so very quickly, at point of care, someone
8 could look at this, look at each hazard claim, and very quickly
9 assess the strength of the evidence that's available for that.
10 Even if most of the drugs that you have now, it's basically
11 going to be a zero, let's say, on this scale. At least you would
12 have a framework that could be updated, and that framework, of
13 which everyone's familiar with, could be updated as the
14 strength of the evidence improves.

15 I also wanted to go off Dr. Lee's comment here that, as I
16 talked about it yesterday, there was kind of different
17 audiences that are happening here. There's the point of care
18 when someone needs to quickly look at this and make a decision
19 about a patient that's sitting in front of them. For them,
20 having this information about any particular hazard claim, as
21 well as the strength of the evidence bolded at the beginning of
22 this risk summary, would make the most sense. This document,
23 as a document that provides more information for potentially
24 other consumers to take and make their own summaries, makes
25 complete sense to me that you would have this additional

1 information about the individual studies that were involved and
2 so on.

3 So my comments have to do with the uncertainties that
4 we're actually focusing on here, which seems to be the strength
5 of the evidence, most of, not stochastic uncertainty around an
6 odds ratio or something like that, as well as just simple kind
7 of organization of the risk summary just to make it easier to
8 parse.

9 DR. BLALOCK: Those are good suggestions. Thank you.

10 Dr. Goldman.

11 DR. GOLDMAN: Okay, so that was -- my last comments were
12 emotional, and now I'm doing my practical, so good news. Okay,
13 so I have four different recommendations. One of them is sort
14 of an amalgam of what came out yesterday, thinking about the
15 strength of the evidence or sort of what we think about as
16 Level I evidence, Level II evidence, in looking at research.
17 But I think that what we want, and we talked about this a
18 little bit, is some sort of table where you could have a star
19 system, like the stars of certainty or the stars of strength.

20 But for human data, maybe you have no stars. For animal
21 data, maybe you have three stars. You know, for years on
22 market versus number of case reports you get a number of stars.
23 So something that's been on the market for 40 years and there's
24 one case report, that's a four-star drug. So some sort of
25 table where we're integrating and iteratively demonstrating

1 this, that is a point-of-care sort of flashpoint. And then
2 potentially, electronically, you're in the online system,
3 having a way to put those boxes next to each other for
4 different drugs. So, you know, if I'm seeing an MS patient, I
5 can crosstalk those boxes for the drugs that I'm considering
6 and at least know what is the level of evidence, stars of
7 certainty.

8 The second thing that I think would be helpful, from
9 reading all of the examples, is some sort of table that cross-
10 talks animal to human gestation. I was very confused about,
11 you know, if it was given to the rat this many days, like is
12 that the first trimester or the second, you know? So I think,
13 for people who -- like a neurologist, poor, sad neurologists --
14 like reading that, like I didn't know what that meant or how
15 to, you know, weigh that in.

16 The third point -- and I only have four again, so you're
17 not getting nervous -- is the consistency language, so your
18 boilerplate text has to be the same in every package. So, for
19 example, your background rate, sometimes it says 3.6 and 7.4,
20 respectively. Sometimes it says miscarriage -- whatever --
21 3.4, defect 7.2. So it should be the exact same so that I can
22 read that once, know it and know to skip it, if that makes
23 sense. Not different from label to label.

24 And then the last thing that I feel really passionately
25 about that we haven't talked that much about is lactation, and

1 every drug that I use says no data for lactation. And I'm
2 wondering if that's a place where we could potentially put some
3 sort of language that either talks about the half-life of a
4 drug, so we know or anticipate that the drug would be cleared,
5 you know, 8 hours from dosing, especially for some of the
6 biologics, where they're getting an infusion once a month, for
7 example. I think that would be very helpful because you could,
8 you know, pump and dump for this many hours and then breastfeed
9 for that many days.

10 But the second missed opportunity, I think, is related to
11 molecules of similar size. So we know something, I don't
12 personally, but people know something about what types of
13 molecules go from the bloodstream into the milk. And so based
14 on the pharmacokinetics of these drugs, I think there could be
15 an opportunity to just say we don't know about this drug
16 specifically, but molecules of this size typically do transfer
17 into milk, or something like that. So those were all my
18 practical comments.

19 Thank you.

20 DR. BLALOCK: Thank you.

21 Dr. Pleasant.

22 DR. PLEASANT: Thank you. It works. Thank you, technical
23 folks. Yay.

24 Okay, so just quickly. I'm going to just say your goals
25 are actually feasible and possible, even though we might be

1 sounding like it's not. So smile and pat yourself on the back
2 for taking this effort on. I know, from practical experience,
3 how difficult it is. We launched an effort called Clearly
4 Communicating Clinical Trials. I can promise you that you can
5 develop a protocol that will address the issues and the
6 language that you're struggling with. Pay attention to the
7 principles of plain language and health literacy.

8 I'm sure you've already looked at this, but just to put it
9 on the record, also look at the guidelines that the European
10 Union put out for the clinical trial summaries, both the lay
11 summary and the technical summary. There's a lot of work
12 already been done there from a regulatory body. So why
13 reinvent the wheel when they've already created a lot of those
14 guidelines for you?

15 Interpretability, a word I can never say and don't like
16 because there's a lot easier ways to say that. There's also a
17 lot of easier ways to say things about uncertainty, like we
18 don't know enough, period. Right? We don't know enough. And
19 so it goes to the point about the acceptability of risk. When
20 I hear studies and people say that they disagree with us on the
21 risk, whether it's the outcome, the severity, the likelihood,
22 they might be trying their best to tell us that we have a
23 different definition of what level of risk is acceptable, but
24 nobody asked them that. They asked them to tell us do you
25 agree with our interpretation of the risk, so they might be

1 trying very hard to say 0.01 isn't good enough for me, but they
2 don't know how to say that and nobody asked. So be careful in
3 judging. An appropriate outcome is not the target of this, and
4 informed decision is the sole target. And if people make an
5 informed decision that you disagree with, that's because values
6 are involved and values are always going to be involved. The
7 FDA's role is to provide the best evidence possible and stay
8 out of the value argument. When that evidence isn't
9 sufficient, the values are going to play a stronger role,
10 right? You just are going to have to accept that in the notion
11 of your job.

12 I would also suggest, in terms of helping people
13 interpret, link to the clinical trial data source whenever you
14 can, right? Those summaries are supposed to be online, so go
15 ahead and provide the link. You know where they're at already.
16 Let people look further into that information when it's
17 available. Those just aren't there.

18 For the record, also, I do not miss that letter system.
19 Do not repeat that mistake. One of the challenges here is
20 people want to oversimplify it, and the job is not to simplify;
21 the job is to explain complexity in a clear and usable fashion,
22 and that means you have to embrace the complexity and not be
23 afraid of it.

24 And then just to close, a very small point, but it goes to
25 part of the problem, calling these narratives is just

1 technically incorrect. It's not a narrative, you're right, and
2 the narrative, by definition, would have to have a conclusion,
3 an ending to the story, and you can't provide that in here.
4 You can't make that recommendation, which would actually make
5 it a narrative. These are more of a vignette. So what you
6 might want to think about also doing is adding a little bit of
7 metadata up front about the limitations to what you can say,
8 right? Help people understand that you can't -- there are
9 things that the FDA simply can't communicate, you're not
10 allowed to, or that the data don't exist, right? And that can
11 be uniform across all of these, right? Here's the challenges
12 with this document, and here are the limits, so that you help
13 people with what you interpret later just by telling them what
14 you can and can't do. That's all.

15 DR. BLALOCK: Thank you.

16 Dr. Nahum.

17 DR. NAHUM: Thank you. Dr. Nahum.

18 I'm going to address these points pretty much directly,
19 but I have some other comments. I want to just say one thing
20 about what Dr. Goldman said. She made an appeal, I think in
21 principle, to the idea of class effects being incorporated into
22 labeling, and I think that we have had experience that really
23 goes both ways with this.

24 I will say that there was a class effect with regard to
25 tetracyclines that was incorporated into labels of all

1 tetracyclines, including doxycycline, with regard to bone
2 discoloration that simply took 30-plus years to get out the
3 word that that was not a risk. And, you know, they're both
4 tetracyclines; they both fall into the same class. It was
5 giant risk for the original tetracycline; it was not at all a
6 risk for doxycycline. And doxycycline is a very broad-spectrum
7 antibiotic, it's a very safe antibiotic, it's a very cheap
8 antibiotic, it's a very well-tolerated antibiotic, and it has a
9 huge spectrum of activity, and I would say, for 30-plus years
10 it was not used in pregnant and lactating women because of this
11 fear. And so it's not always the best thing to put class
12 effects in a label. That's my only plea here. Sometimes
13 they're relevant, and sometimes they're not.

14 Now, there's another issue that's come up here that I want
15 to really be explicit about. In the 1970s, there was a court
16 case that involved the FDA where the real question at issue was
17 who is the expert, okay? In other words, is it external
18 experts who are expert in the field that FDA brings in or that
19 testify to a certain thing about a drug or a biologic or
20 anything else? Back then there weren't many biologics. And
21 the answer was it went to court, and it was decided, and it was
22 appealed, and it was decided again, and it said the FDA is the
23 expert, okay? So I don't want to say that the FDA is on the
24 hook here, but at some level the idea of distributing
25 information with the idea of saying you guys make of it what

1 you feel you should is a little bit naive because different
2 people are going to come to different determinations about the
3 same information for all the reasons that have been discussed
4 here, and it's almost an abdication of the responsibility of
5 FDA to make a learned decision or recommendation with regard to
6 what's going on. So that's my plea about that.

7 Now, specifically with regard to these questions here, I'm
8 going to go to (ii), Subpart (ii), the "interpretability and
9 impact of animal data on decision making when there are no
10 human data."

11 I will just reference something that is very important,
12 which is there was a draft guidance document that was issued by
13 toxicology at FDA that was a REPROTOX document in the early
14 2000s. That was widely read, widely circulated, and even used
15 to some extent for a while. It was subsequently withdrawn, and
16 the reason that it was withdrawn is because there is such a
17 poor correlation between REPROTOX data in different animal
18 species and what happens in human beings. It's not just a dose
19 effect; it's not just a pharmacokinetic effect; it's a
20 species-specific effect. There are also very, very well-known
21 and very well-documented instances of companies that have
22 selected animal models to do their REPROTOX studies where the
23 species that were chosen were deliberately chosen because they
24 are species that are known not to be susceptible to teratogenic
25 effects. This is sad but true.

1 And in terms of the selection of these animal models,
2 there's a lot of discretion on the parts of companies to choose
3 the models. It's not complete discretion, but there's some.
4 So this is something that needs to be taken into consideration
5 because the correlation between these animal data that do get
6 into labeling and what happens in humans is so poor that I
7 would submit that it is often the case that people who read the
8 animal data are somehow influenced by it and say, oh my
9 goodness, this is too risky for a human.

10 Now, just very quickly, I'll tell you when I was in
11 practice, people used to come to me with product labels and
12 they would say, oh, look at this information about rat data in
13 the label and, you know, not glibly and not without
14 forethought, they would say, well, what does that mean to you?
15 And I would say don't give it to your pet rat.

16 (Laughter.)

17 DR. NAHUM: Okay, yeah. And because it's not good for
18 that, okay? Yeah, all right. Now, that's very different than
19 what the implications are for humans, and I say that just
20 because I want to put it in context.

21 The last point is, for Point Number (iii) here, when it
22 says information that has been unhelpful with unintended
23 consequences, I would say the inclusion of a lot of animal data
24 in labels, I think that it can be very often misleading, and it
25 is not really what we want.

1 Lastly, I want to agree, in some parts, with what
2 Dr. Slovic said previously about -- and other people talked
3 about testing of messaging. I would say that in the absence of
4 being able to test every specific message, one thing that the
5 FDA might consider is to put in place a more structured system
6 for communicating the information.

7 One of the things in the current labeling of PLLR is that
8 the degree of unstructured here is confusing to people,
9 especially when -- and I know they're not supposed to be doing
10 it -- comparing labels from one product to another, trying to
11 decided whether to use Product A or Product B for a specific
12 condition. Or if there are 15 products, looking across all of
13 them, it's very difficult to compare things, if you're a
14 practitioner, if the information is highly different in terms
15 of its presentation in a different label.

16 And, again, going back to what Dr. Slovic said, he didn't
17 say this and I'm not going to attribute this to him, but this
18 is my interpretation and maybe he'll just review it with me. I
19 think when he was talking about validity, there are two
20 different kinds of validity. There's structure validity that
21 can be tested, and there's content validity which also can be
22 tested, but there's a different concept here, which is decision
23 soundness, okay? In other words, how sound is the decision
24 that the people reading this information, whether structured or
25 unstructured, is? And that's the thing that we want; we want

1 people to make sound decisions, okay, but maybe, just maybe, we
2 can go a long way to helping, thereby ensuring that the
3 structure of the way what is presented is valid and that the
4 content is valid. And I know FDA spends a lot of time ensuring
5 content, but I'm making an argument here not to go back to the
6 A, B, C, D, X system, but to go to a system that has more
7 structure. And if the FDA says we already have structure in
8 those different categories, I agree with that, but it's time
9 for substructure. You know, substructure underneath all of
10 those larger headings.

11 That's all I have to say. Thank you.

12 DR. BLALOCK: Thank you.

13 Dr. Tracy.

14 DR. TRACY: I'm going to go right back to that. So I'm
15 probably going to get stoned here but -- so I just realized
16 that I've been traveling in a couple different states, and I've
17 been gone for a week, and tomorrow's Wednesday and I'm going to
18 be seeing between 25 and 30 patients. Of that 25 or 30
19 patients, at least 3 and possibly 4 will either be pregnant or
20 potentially pregnant. So how do I take the data that we're
21 discussing here in these various issues -- and I do deal with
22 quite a number of biologics now, some of which are relatively
23 new and some of which are relatively old, and how do I
24 communicate that? So I thought that through a little bit.

25 We all recognize that the baskets of A, B, C, D, and X

1 really doesn't work, but what I was really thinking as I've
2 been mulling this over is really something that we use every
3 day in my practice, and that's called a visual analog scale,
4 where you start basically from 1 or 0 and you go to 10. I
5 recognize that it's not perfect, and obviously, you'd have to
6 work out the rules of how you would come up with this, much
7 like the star system that Dr. Goldman talked about, but you can
8 do that. I mean, you can work out your regimen, and then
9 ultimately, you have a 0 to 10 score, but it's also a hybrid
10 score because you also recognize that you can't communicate all
11 the stuff that we really feel obligated to do. And so in a
12 hybrid system which kind of deals with sort of the narrative or
13 vignette component, I think, is kind of your next follow-on.
14 But in the end, you know, we do have to make this -- you have
15 to be able to operationalize this piece of information in a
16 fairly cohesive but also concise fashion.

17 So I mean, we all know that there are unknowns out there,
18 and every one of us who deal with complicated patients
19 recognize that some patients are very highly engaged in their
20 own care, and there's probably few people that are more engaged
21 than an expecting mother or a potentially expecting mother and
22 her partner. So, you know, you get past the ethical piece of
23 shared decision making, and you come up with a system that is
24 both clean and operational. You certainly have a 0 to 10 that
25 kind of gives you a starting point, and then you have all the

1 what ifs that kind of follow.

2 But as we've seen, if you can't make this work in the real
3 world, you can talk about all the theoretical stuff you want,
4 but in the end, I'm going to work tomorrow, and I got 25
5 people, and I got to be able to use the data that we're
6 discussing here. And so I kind of like the star system
7 frankly. You know, I mean, it sounds kind of trivial, but it
8 really isn't. So you work out the rules, you come together
9 with something, you have sort of a grading scale, however you
10 want to do it, and then you fill in the narrative or the
11 vignette below it.

12 DR. BLALOCK: Dr. Joniak-Grant.

13 DR. JONIAK-GRANT: Dr. Joniak-Grant.

14 So Dr. Dieckmann covered my main point about rating the
15 strength of the evidence and not leaving it up to the provider
16 to do so. One clarifying point: We've been talking a bit
17 about sort of looking at the evidence in combination and maybe
18 doing a star system or something. I'm not sure if other people
19 are proposing that they also then do sort of ratings for each
20 sort of study underneath it, and I think that could be useful.
21 I think then, also, if you were to do that, for example, you
22 have animal data, and then here's our strongest piece of animal
23 data, here's our -- you know, next and here's our next, because
24 that -- because you have to include data, there would be
25 something that maybe is sort of more throwaway data, and that

1 would be a quick way for people to look, to go in and say okay,
2 here's the overall, here's my strongest evidence for human,
3 here's my strongest evidence in the animal data, what does that
4 tell me? And so that could be something that helps providers
5 have clearer senses of what's going on with the data.

6 DR. BLALOCK: Dr. Winterstein.

7 DR. WINTERSTEIN: I just wanted to bring up one point with
8 respect to having a gradable system or something that's
9 discrete. There have already been a lot of comments about
10 memorability and discretizing the information for decision
11 making, but there's one other piece, and that's clinical
12 decision support. Most physicians today are relying on some
13 type of clinical computerized physician order entry that brings
14 up flags that are warnings when patients meet certain criteria,
15 like end-stage renal disease or whatever, and pregnancy is one
16 of those.

17 Now, those clinical decision support systems cannot link
18 to a narrative that says here's the information that we have
19 available. They will have to link to something that is
20 discrete. I think that this is probably -- and there's some
21 literature on this. This is probably the most relevant source
22 for clinical information and decision making and not the label
23 and direct monographs, and companies that basically provide the
24 background information for clinical decision support will have
25 to use some system. A lot of this, as far as I know, today

1 still relies on that grading system. So the reality is, if
2 there really is an aim or a goal to have information that is
3 most relevant at the point of decision making, I think a
4 grading system is needed unless you want to rely on something
5 that might be developed by First Databank or Wolters Kluwer or
6 any other of the companies that do this, because somebody will
7 end up interpreting the information and making discrete fields
8 out of it.

9 DR. BLALOCK: Dr. Berube.

10 DR. BERUBE: Dr. Berube. Three comments:

11 The first is that Dr. Yao, you seem to be basing your
12 research on how effective the system is. I'm one of the
13 methods editors for the *Journal of Nanoparticle Research*, which
14 is an incredibly geeky journal, but one of the things we keep
15 discovering is there is something called this generational
16 nostalgia effect, and if you try to research how effective a
17 change is too soon after the change has been done, that's what
18 you're actually tagging into. If you wait for a period of
19 time, the generational shifts in the sample that you're testing
20 will eventually come to agree that the new system, which is
21 what they grew up with, is better than the old system, which
22 they never used before. So don't be overwhelmed by the results
23 you're getting.

24 The second thing I want to say is I do spend a lot -- I
25 write about nanomedicine more than -- in my field more than

1 anything, and the one thing about nanomedicine which is
2 incredibly challenging is the next generation of nanoceuticals,
3 which you're going to be using, have great bioavailability,
4 they are incredibly effective. The problem is they cross the
5 blood-placental barrier, and there's a big issue, and there's
6 not a better time for this to be discussed because there are
7 folks designing these drugs today. And so you're going to have
8 to deal with this issue in the future.

9 I want to go off what Professor Dieckmann mentioned, and
10 even Dr. Tracy. When I was working with big data with the NSA
11 and when I was working with the NNI on lifecycle analysis of
12 nanoparticles, we confronted the same issues here. It was
13 bizarre sets of data, right, on miscellaneous animal studies.
14 Some studies were great, some studies didn't work, and we spent
15 an incredible amount of time trying to figure out how we would
16 be able to provide information to folks in the toxicology world
17 so they could try to figure out what they needed to do next,
18 and we came up with a way to establish confidence to the
19 datasets we had. You know, we decided that, for example, if a
20 report -- if a study had had a finding and the finding had been
21 cited a certain number of times favorably, then that had a
22 better impact than one, obviously, that did not. Or if the
23 citation the research was getting was negative, which often
24 happens, you know, it says Jo-Jo did a study, it was bad, and
25 they go on to talk about other things -- the animal studies

1 were also adjusted for this.

2 That's why I mentioned that logarithmic approach because
3 what it does is assigning numbering to the quality of the data
4 you have. And in the world of terrorism and NSA, when you're
5 trying to predict events, what ends up happening is you have
6 tons of data, and if you don't provide confidence levels to the
7 sets of data you have, you never make sense out of any of this.

8 And so whether it's a numerical system like Paulos's
9 logarithmic safety index or whether it's a star system or the
10 cute little baby, you know, the number of pictures of the
11 babies you get, these are not foolish processes; they're very
12 powerful ways to communicate. They establish a weird sense of
13 ecological validity in what you're doing because the data
14 you're pulling and the data you're pushing out has special
15 meaning to the populations that you need to reach.

16 Yeah, please continue to do this because you're going to
17 have huge challenges in the next decade, and it's incredibly
18 important that this be done properly. And I wholly support
19 anything that comes close to confidence labeling or anything in
20 that genre because I think what you'll be able to do is
21 distinguish between quality of data, and that's really
22 important to the folks who are users.

23 DR. BLALOCK: Thank you.

24 Dr. Howlett.

25 DR. HOWLETT: Thank you. Elizabeth Howlett.

1 Hey, I really think what we should be doing is actually
2 spending our time talking about the kind of graphical systems
3 that we could use to summarize this information. You know, I
4 think there's a lot of agreement, I guess what I'm sensing, and
5 one of things that Dr. Baur referred to is that there's these
6 sub-layers. So we're talking about sort of the quality of
7 information, and that's what I think we should be discussing.
8 That's my first point.

9 My second point is I really do agree with what Dr. Nahum
10 had said because, you know, I'm not a physician, I'm a
11 marketing professional, and I go to a doctor, and I am
12 depending on his expertise to guide my choice. I didn't spend,
13 you know, X number of years in medical school, and I am going
14 to trust him to help me interpret things that -- I mean, I'm
15 sitting here with my computer still looking up things that you
16 guys are dropping, like who? No, what does that mean?

17 And so I agree, and I think that in some sense I get the
18 feeling that FDA is not willing to kind of put their money
19 where their mouth is and say, hey, based on what we know, this
20 is probably safe. I can live with that. I can live -- hey, we
21 don't know for sure, but it's probably unsafe. And so based on
22 human studies, it's probably not safe or it's -- so just sort
23 of -- I mean, I just think that, you know, just as a consumer
24 in this sense, that, you know, we just need to be able to do
25 this in a graphic form with a little bit of, perhaps,

1 interpretation by some of this data.

2 DR. BLALOCK: And when you say graphics, are you talking
3 about when people have suggested, you know, a star system or
4 VAS or --

5 DR. HOWLETT: Well, there's a number of different things
6 you could do. You could do, for example, you know, the quality
7 of the data. You could use stars, you could use a bar, you
8 could use --

9 DR. BLALOCK: Okay, but that really summarizes the
10 strength --

11 DR. HOWLETT: Right.

12 DR. BLALOCK: -- of the evidence.

13 DR. HOWLETT: Exactly. And so if you look at the system,
14 the A, B, C, D, X system, you know, that took a lot of
15 information and just summarized it into one graphic. If we
16 have multiple graphics, it's a more complex situation, but I
17 think that, you know, we're conveying that sort of I want to
18 look at this and, oh, it's a three-star or here's the bar. Or
19 let's say, you know, you need a treatment for something that's
20 going to treat MS, and so here's the risk, here's the benefits
21 for the treatment of MS, and it's on this side of the bar.
22 But, you know, if you're treating acne, like, you know, no
23 one's going to die from acne. Oh, the risks are really serious
24 if you're treating something that I would consider not to be
25 life threatening as opposed to something like asthma or

1 something.

2 So sort of have that bar that would be saying, under these
3 conditions, this is what you need to be thinking about, and
4 just make it more clear cut and just put -- I just think --
5 well, I don't know, I'm just kind getting a little frustrated.

6 DR. BLALOCK: Okay, I just wanted to clarify what you were
7 suggesting. And Dr. Yao has a response.

8 DR. YAO: Yeah, hi. Just in response to Dr. Howlett and
9 Dr. Nahum's comments, just for the point of clarification. So
10 when a drug is approved for an adult population, it has been
11 deemed to be -- to provide substantial evidence of
12 effectiveness and that the risk-benefit has been -- has been
13 decided to be acceptable for all adults, and that includes
14 women. So the probably safe bar has already been met for all
15 women, including pregnant women, unless we have any
16 understanding that there is a clear contraindication and that's
17 when we put it in. Sometimes we would like to have more
18 information on the more specific dosing and safety in pregnant
19 women, and we don't get that at the time of approval, but I do
20 want to make sure that that's clear before we launch into, you
21 know -- I have heard clearly that, you know, FDA should be, you
22 know, more clear in this description. I also want to make one
23 other point, just for clarification, and that is FDA is
24 required, as I described in the session yesterday, what is
25 required in labeling. But the other thing that needs to be

1 clear is that FDA does not and is not -- cannot regulate the
2 practice of medicine. So we have to be clear that we can't
3 stray too far into what would be considered practice of
4 medicine.

5 DR. BLALOCK: Dr. Spong.

6 DR. SPONG: Thank you so much. First, I want to say that,
7 you know, I truly appreciate the passion of Dr. Robotti and
8 Dr. Goldman, and I think we all want what's best for our
9 patients, and we all want to be able to have that evidence to
10 be able to tell women and tell families what is best for them,
11 and I don't want anyone to think that this Committee doesn't
12 want to do that and doesn't want to give them the best
13 information that we can. The difficulty we have, of course, is
14 we don't have the best information, and although I would love
15 to say that this Committee also is charged with that, it is
16 not. But I think, you know, I feel your passion; I have that
17 passion as well, and I would love to have additional
18 information, and you know, I think many of us are working as
19 hard as we can to try to get that information. So I just want
20 to make that very clear that, you know, I think we're all in
21 agreement with you that that information would be helpful.

22 I think, specifically, to this question of how effective
23 has it been in conveying the evidence, I think yesterday there
24 were some examples of how perhaps we could tweak this system,
25 and I just want to make a couple of suggestions. As far as the

1 animal data and whether it should be included, I'll admit, I
2 thought that any data we had, had to be included. So to me it
3 was yes, it has to be included because it's required to be
4 included. I think it would be incredibly helpful though to
5 provide, in that animal data, a little bit of, you know, what
6 does -- how would you translate that, then, to humans, right?
7 So, you know, third trimester in a rat or in a mouse is
8 neonatal, right? So what does it mean if you were treated --
9 if you had given 25 times the dose of a medication to a
10 pregnant rat and they got this? You know, putting that into
11 some context would probably be helpful for providers and
12 practitioners.

13 I think that this idea of having each document consistent
14 in format would be very, very helpful and make certain that in
15 those subheadings that were described, if we don't have
16 information, there isn't information, but it's not that it was
17 omitted. So does it cross the placental barrier? We may or
18 may not know, but just say unknown or say what's known. Does
19 it cross the placenta; does it cross breast milk? You know,
20 having that information in the label, I think, would be
21 helpful. Having a label that is consistent would be helpful.

22 And I have just two other additional points. One is that,
23 as was discussed a little bit yesterday and I think is
24 something very important, this label would be very helpful if
25 the mechanism in which people accessed it, given that the next

1 generation does everything electronically, to have it so that
2 it is easy for them to come up with, you know, what are the
3 take-home points from this label, how do they get to those
4 points, I think, would be very, very helpful for them to use
5 the label more frequently than is currently being used. So
6 having it in some way really able to be pulled up on a phone,
7 able to be pulled up at point of care, to know what it says in
8 a very fast manner, I think, would be something to consider.

9 Although I would love it that we could reduce the label to
10 include a scale, I'm not certain that at this point, given the
11 information we currently have, we can do that. Even a complex
12 scale that we've got, you know, a line for animals, a line for
13 breast milk, a line for the placenta, etc., I think, as we get
14 more data, maybe we can move towards that, but I don't know
15 that we're at that point yet.

16 Thank you very much.

17 DR. BLALOCK: Dr. Coombs.

18 DR. COOMBS: I'd like to go back to something Gary brought
19 up, and that's the notion of requisite variety, because I think
20 that's what we're seeing, particularly with 2 here, is the
21 notion that sometimes you're in a much more complex
22 environment, and requisite variety says, when I'm in a more
23 complex environment, I have to be more complex in how I deal
24 with that environment, and I think that's what these new
25 guidelines which are coming are trying to do that. When you're

1 in a more complex environment, how do we do that?

2 But then you get the types of comments like you had from
3 some of the physicians. They view the narrative as long and
4 difficult to understand. Anyone in education has heard that
5 repeatedly from every student that comes through. Oh, I had to
6 read the book. Oh, I had to read the article.

7 So I think one of the things we can do, and a number of
8 the comments that were brought, is to perhaps -- the label can
9 only be so big, but behind the label there's some guidance to
10 help kind of further clarify what that means and to help them
11 then explain that when they then talk with their patients.
12 Again, I'm not trying to get into what they should do with
13 their patients but just how they might talk about it. That
14 guidance behind might help them because, in education, that's
15 what we have to do all the time, is to kind of backload some of
16 that notion in for our students so they can better understand
17 it.

18 DR. BLALOCK: Dr. Lyerly.

19 DR. LYERLY: Just a couple of points. First, I wanted to
20 agree with Dr. Dieckmann and his idea of developing some
21 measure of the quality of evidence. I know, particularly for
22 OB/GYNs who move fast, when ACOG develops guidance, at the end
23 of every guidance there is a level of evidence for every
24 recommendation. I also think here, though -- and in some ways
25 I think it goes without saying in this room, but I'm not sure

1 that I've heard it said, is that magnitude is really important
2 here. So if you have very high-quality evidence that cleft
3 palate is increased, has a relative risk of 2, that's still a
4 very low risk of a cleft palate, right? It's like 0.6 in
5 10,000 pregnancies moving up to 1.2 in 10,000. So, again, if
6 you're thinking about a patient who is thinking about a
7 treatment for their disease, that still may be, even if there's
8 very strong evidence, something that they should consider
9 taking. So I think important in sort of this schematic is a
10 magnitude question.

11 Also, I think when I have looked at the summaries here,
12 there is a variable mentioned of trimester, and I know that
13 from case reports that I've studied that there's a tendency to
14 not pay attention to trimester when interpreting safety
15 signals. So if there's a risk of a neural tube defect from
16 taking a medication, then that gets off the table for the
17 entire pregnancy. Public health problem programs are changed
18 because of that. And so it seems to me that in the schematic
19 it would be very important, not just even for the animal data
20 but for any data, to have some specificity with regard to
21 trimester because, you know, not everybody who prescribes these
22 remembers their embryology classes, remembers when different
23 organs are formed.

24 Finally, I just want to go back to this sort of approved
25 for adults and probably safe bar has been met, because I just

1 do not think that doctors know that, and I think it's just
2 worth discussing whether there is a role for that kind of
3 statement in the label that says FDA has approved this for
4 adult populations and that includes pregnant populations. I
5 mean, not specifically for the condition of pregnancies, but
6 pregnant women are included in adult populations. That is
7 something I have heard in my conversations with FDA, I have
8 read in the depths of papers written by FDA people, but I do
9 not think that is something that doctors know and prescribers
10 know.

11 Okay, that's it.

12 DR. BLALOCK: Dr. Cappella.

13 DR. CAPPELLA: So I'm very much in agreement with the
14 tenor of the conversation that I think was begun to some extent
15 by Professor Dieckmann, essentially saying that the core issue
16 that is the most difficult one from the point of view of
17 communication is communicating the uncertainty and that
18 uncertainty is attached to the kinds of evidence that are
19 present. And so some of the conversation has been, you know,
20 star system, numerical system, and so on.

21 One of the things, one of the systems that I found
22 particularly useful is something that's come out of the
23 National Academy of Sciences, Engineering, and Medicine on
24 evidence-based conclusions about particular kinds of tobacco
25 products, and they developed a six-level system from no

1 available evidence, insufficient evidence, limited evidence,
2 moderate evidence, substantial evidence, and conclusive
3 evidence, along with a two-, three-sentence summary of what
4 each of those evidence bases are. And I can forward that to
5 you, but just to read you, as an example of the moderate
6 evidence one, and then I will shut up and not read the others.

7 There are several -- for moderate -- uh-oh, it just
8 disappeared on me. Don't go away. For moderate evidence,
9 there are several supportive findings from fair-quality studies
10 with few or no credible opposing findings. A general
11 conclusion can be made, but limitations, including chance,
12 bias, and confounding factors, cannot be ruled out with
13 reasonable evidence. So the language here, I think, is simple.
14 It's clear, it's talking about evidence, it can be -- keep the
15 word "evidence" or not, but conclusive, substantial, moderate,
16 limited, insufficient, not available, and that provides a clear
17 and unequivocal way -- not unequivocal, a clear way of
18 describing the evidence base. A judgment would have to be made
19 by experts, but that's been done before. And then you can
20 still provide the evidence if you wanted to, but people
21 wouldn't necessarily have to jump to that evidence. Anyway, I
22 thought that's pretty useful.

23 And then in each of the conclusions that they were
24 reaching about particular elements in, in this case,
25 e-cigarette case, each conclusion had attached to it various

1 substantial evidence, that there is moderate evidence. I found
2 that useful.

3 DR. BLALOCK: Thank you.

4 Dr. Baur.

5 DR. BAUR: So Cynthia Baur.

6 I'd like to gently disagree with Drs. Howlett and Nahum
7 and extend what Dr. Yao said about FDA's role in these PLLRs,
8 because I think that they can do a great public service by
9 bringing transparency to this process of conveying safety
10 evidence and being circumspect on this question of
11 recommendations, because I think there's a very large issue of
12 reputational risk to FDA, as an agency, to go down that -- too
13 far down that path of recommendations.

14 And I think we've been discussing how much work there is
15 to be done just on conveying safety of the evidence. And I
16 think this issue of transparency, which I think has been a
17 subtext to the 2 days, really goes to the fact that what you're
18 trying to do is represent what industry has told you, what
19 experts have told you, and what your own internal analysis has
20 told you, and to synthesize that in some way in this PLLR to
21 convey the safety of the evidence.

22 And so I would just encourage you to stay on that path and
23 to be a little bit cautious about going down -- going too far
24 toward a recommendation because I think that could be pretty
25 fraught for you. And I think the public service is really in

1 the transparency around this, is how we've learned what we know
2 about these drugs, and this is what we're doing to share that
3 information with you so that you can use it however you need to
4 use it to make a decision.

5 DR. BLALOCK: Thank you.

6 Dr. Slovic.

7 DR. SLOVIC: I just want to comment a bit on the animal
8 data and the concerns that Dr. Nahum raised about that. I'm
9 probably the only person who's done systematic research on how
10 toxicologists and lay people interpret data about something
11 like carcinogenicity of exposures to some chemical. Working
12 with toxicologists, we coined a subdiscipline of psychology
13 called intuitive toxicology to contrast the way a layperson
14 reacts to information about toxicological evidence and the way
15 the toxicologists react to it, and as you know, a major process
16 that toxicology relies on is animal testing. Let's say, for
17 carcinogenicity, they test animals at very high doses for long
18 periods of time. It's a very conservative type of process
19 designed not to miss problems. It's biased in that way, and
20 it's very conservative.

21 What we found in these studies was that the concept of a
22 dose-response relationship to a carcinogen, which is so central
23 to evaluating toxicological data, was pretty much missing in
24 the layperson. They believed that if something, a chemical,
25 caused cancer at high doses in animals, it was likely to cause

1 cancer in humans at low doses. Toxicologists recognized that
2 that was not the case, and they're much more cautious in -- you
3 know, in their interpretation of that evidence, but they never
4 communicated that to the public.

5 Thousands and thousands of animal studies have been done,
6 providing a large database often with findings of
7 carcinogenicity that led people to -- some people call it
8 chemophobia because the toxicologists did not play a role in
9 interpreting to the public the limitations that they knew about
10 of those tests. They just did the tests and put it out there,
11 assuming that the public could interpret that.

12 So I think that one would have to expect that if there is
13 animal data listed in the labeling that shows evidence of harm
14 in animals, that if people or their providers see that
15 information, they're going to give that very heavy weight,
16 especially in light of what Dr. Nahum mentioned about the
17 problem of omission versus commission, you know, that people
18 are loath to prescribe something that they think might be
19 harmful, and they're likely to think that the animal data is
20 relevant to humans, and their patients are likely to think that
21 as well. I just want to put that out. I don't know exactly
22 what the implications are for how animal data is presented or
23 relied on in these labels, but that's likely to be a way that
24 both providers and their patients will interpret this data.

25 DR. BLALOCK: Thank you, Dr. Slovic. And I think that

1 will be relevant, you know, to the fourth question that we have
2 to address as well.

3 Two more folks are on my list, and then we will kind of --
4 I'll close this up and we'll take a break. Dr. Nahum is first,
5 and then Dr. Goldman.

6 DR. NAHUM: Thank you. Dr. Nahum.

7 I think you'll happily see, Dr. Blalock, I'm moving on to
8 the next set of questions in Number 2, which is 2B, because
9 that really hasn't been explicitly addressed, but this is about
10 observational study data of various sorts. I don't know if you
11 want to advance that slide or not, to show that. But I have
12 several comments about this, and one is Subpart (iii), which
13 says observational study data where there are methodologic
14 limitations, my comment about that is that all observational
15 data have methodologic limitations. Okay, so I don't interpret
16 this as being specific only to this subpart. I believe that
17 the methodologic limitations apply both to Subpart (i) and to
18 Subpart (ii), and I want to just say that explicitly.

19 Observational data is not well-controlled data most of the
20 time. One can, you know, do case-control studies with it, one
21 can do perhaps, cohort studies with it, one can even do
22 longitudinal cohort studies with it, but this is not the same
23 as very well-controlled, certainly not randomized data, and
24 there are all sorts of biases that creep into this data, as
25 well as confounders that may or may not be able to be

1 controlled for.

2 As far as the idea of visual presentation of data, and I
3 think this has been brought up several times, I think there's a
4 good example, and Dr. Nguyen will know very well about this.
5 In the Bone, Reproductive, and Urologic Division, DRSP, which
6 is a progestin, was very, very closely evaluated, not just by
7 FDA but also by European agencies back a decade ago, and the
8 contention was that there was perhaps, and it was unproven, a
9 relative risk increase with regard to thromboembolic events,
10 which most literature did not conform to. However, there was
11 some literature that did suggest that from some databases in
12 some countries in some settings, and ultimately what made it
13 into the labeling -- and this is the reason I'm bringing it up
14 -- was something that I think is very, very effective at
15 communicating what the level of human knowledge was with regard
16 to this. And it was a forest plot, and I think most people are
17 familiar with what that is, but that's where all of the studies
18 that were considered to be informative and had sufficient
19 quality for FDA to include were plotted so that there was a
20 relative risk associated with it either being over or under
21 other controls. And we could argue for a long time what the
22 proper controls should be, but we're not going to do that here.

23 But what the forest plot does is it outlines all relevant
24 studies. It says, effectively, how big, what the size of the
25 study was, by the size of the marker on the point estimate, and

1 it also gives confidence intervals. So you can look down a
2 forest plot, see all the information that's relevant to a
3 particular issue, and then make your own judgment based on that
4 information. And sometimes there's a meta-analysis of one sort
5 or another that's performed, also, to try and combine all the
6 information.

7 And by the way, Cochrane and the Cochrane databases are
8 very good at trying to summarize information in this sort of
9 way. So there's a model for this.

10 The one thing it does not address, and that the FDA would
11 still have to weigh in on, implicitly if not explicitly, is
12 what should be included. In other words, what's the quality of
13 these studies? What sort of level of attention to data
14 collection and data cleaning and data interpretation was given
15 in the various studies? But that's something that I think we
16 need to give back to FDA.

17 The other thing I want to bring up with regard to this
18 point is the idea, and it's been brought up before by several
19 people, about biologic plausibility. You know, this is not
20 new. Causal assessments have to rely on some sort of
21 mechanism, and it's not new because Sir Bradford Hill in the
22 1960s came up with a compendium of nine criteria for how to
23 come up with a causal relationship instead of an association,
24 so this goes back more than 50 years. And one of them is this
25 idea about plausibility, is there a mechanism.

1 And what I get to here is I think it's critically
2 important that included in these labels be two pieces of
3 information, if available, and it should be mostly available,
4 which is does the molecule or biologic involved cross from the
5 maternal circulation into the fetal circulation across the
6 placental barrier? There are very well-known instances of
7 macrolide antibiotics, for instance, where the penetration of
8 the fetal compartment is essentially nil, and it's very
9 difficult to come up with a mechanism whereby there could be
10 teratogenicity or fetal harm, you know, absent a much bigger
11 problem of a fetal internal shunt or something like that, which
12 in that case it could happen, but that's a much bigger
13 pregnancy-related problem than anything having to do with the
14 macrolide antibiotic.

15 And the second point is does it cross into breast milk?
16 And that's often much more easy to determine because you can
17 collect breast milk pretty easily. And if so, how much? You
18 know, this gets to the idea of there being thresholds and
19 possible, you know, biologic plausibility to newborns being
20 exposed.

21 So I would vote for there being some sort of a causal
22 assessment being made in terms of labeling, and the way that
23 you can do that in these two instances is say does it cross
24 into those places at all, because if it doesn't, it shouldn't
25 really be very much of a concern.

1 Thank you.

2 DR. BLALOCK: Thank you.

3 And Dr. Goldman.

4 DR. GOLDMAN: Okay, so three things: One is I agree, and
5 I wanted to circle back with Dr. Lyerly's point that I've heard
6 several Committee members comment on, that they didn't sort of
7 appreciate concretely that pregnant women were included as a
8 subpopulation. And so to tie in with what Dr. Pleasant said
9 about metadata, like I think an actual discrete statement
10 related to that would be valuable.

11 And then the other thing is at some point you asked do we
12 want confidence intervals, and the answer from me is yes, and I
13 just want to make sure to answer that because I wrote down a
14 note that I like to look at that because that's sort of the
15 highest potential risk, and we deal with that with PML, you
16 know, all the time and the drugs that I treat, so that's very
17 valuable.

18 And then the last thing is to come back to Dr. Spong's
19 comment about the electronic, right, the upcoming generation.
20 And so to make the things -- and for some reason, I was trying
21 to get on to the website to play with it, because I confess, I
22 don't go specifically to the FDA to get the package insert when
23 I read it. I will now, although I noticed you went to DailyMed
24 yesterday, which is an NIH website. So like we're not even
25 using your website, as far as I can tell. But anyway, I

1 digress.

2 What I wanted to say is that you should embed links to the
3 papers so that if I want to like come back to Dr. Coombs's
4 comment about varying -- so if I want to go read the original
5 animal data because I am thinking through this decision, it's
6 very easy for me to get to it. So in the references -- or
7 somehow to make those PubMed links. Like I think we should
8 just -- I would encourage you to brainstorm about not just sort
9 of the content, but the usability of this as we think about it
10 for generations to come.

11 DR. BLALOCK: Okay, Dr. Spong for a very quick final
12 question.

13 DR. SPONG: So I just want to come back very quickly to
14 the concept of trying to say risk with one graphic, and I think
15 one of the difficulties we have is risk, as we look at it, is
16 very different, and even with a forest plot to say, okay, well,
17 the risk for a clot would be this. You know, you've got risk
18 for miscarriage; you've got risk for, say, anencephaly, which
19 is clearly severe; you've got risk for polydactyly, which
20 perhaps is not nearly as severe. Yes, those are both
21 malformations, but they're very, very different. And so having
22 one single risk, I think, is problematic.

23 DR. GOLDMAN: But we could have a forest plot of the
24 strength of the data.

25 (Off microphone comment.)

1 DR. GOLDMAN: No, no, no. I mean the overarching, like
2 three or four things, like human data, you know, animal data.
3 Like that's sort of my idea. It's just to say --

4 DR. SPONG: Right. So I guess the question is what is the
5 risk? If you're looking at --

6 DR. GOLDMAN: Not risk, but what is the amount of -- what
7 is the amount of light that is shining into this dark room?
8 And then --

9 DR. SPONG: It was the amount of data we have available.

10 DR. GOLDMAN: Correct.

11 DR. SPONG: But it's still --

12 DR. GOLDMAN: And the quality of it.

13 DR. SPONG: -- the amount of data to show that it is
14 polydactyly doesn't probably matter to me.

15 DR. GOLDMAN: But that's for the FDA to -- right. I mean,
16 that's for the practitioner to decide.

17 DR. SPONG: As long as they knew that polydactyly was the
18 endpoint there, right?

19 DR. GOLDMAN: Right.

20 DR. SPONG: If you're just showing a forest of what good
21 data we have or don't have, it depends on what that good data
22 is on. And so it just gets --

23 DR. GOLDMAN: Yeah.

24 DR. SPONG: -- really complex.

25 DR. GOLDMAN: Yeah.

1 DR. BLALOCK: Okay, I think I understand, and I think that
2 your concern is --

3 DR. GOLDMAN: I think we should forego a break and just
4 keep --

5 DR. BLALOCK: Yeah.

6 DR. GOLDMAN: No, I was just kidding.

7 (Laughter.)

8 DR. BLALOCK: We're probably on the verge of driving the
9 transcriptionist crazy with folks talking over one another.
10 But I think what the issue is, is that once there become
11 multiple risks, it becomes complex, even if you're talking
12 about the probability of the risk or if you're talking about
13 the strength of the evidence with respect to that risk. So
14 let --

15 (Off microphone comment.)

16 DR. BLALOCK: Okay, Dr. Yao.

17 DR. YAO: Just a clarification to Dr. Nahum's point about
18 bullet (iii), sub (iii). So we understood that all
19 observational data have methodological limitations. The point
20 of that question, for clarification, is that when -- at what
21 level of limitation is just too limited to even consider
22 including.

23 DR. BLALOCK: Thank you. And, you know, this is a
24 wonderful discussion, and I hope that you're getting, you know,
25 useful information and the kind of feedback that you wanted.

1 I'm just going to summarize very briefly and bring Question 2
2 to a close.

3 You know, I'm going to go back to what Dr. Baur said at
4 the very beginning, which I actually think -- and it wasn't
5 picked up, I don't think, by very many people, but I do think
6 it is worth considering, and that's thinking about this as
7 safety communication. You know, rather than risk
8 communication, you know, the opposite of framing that is safety
9 communication, and you know, that's probably no easier to do
10 than risk communication. But as you're thinking about it,
11 think about what the implications of that are, and are we clear
12 when the drug is risky, are we clear when it's safe, are we
13 doing that clearly?

14 The issue that I think I heard come up most consistently
15 across the members of the Committee, you know, was a need for
16 sort of greater consistency where that's possible. And I know
17 that I've heard from the FDA that, you know, often things get
18 complex very quickly, and you want to start out by having 4
19 buckets, and all of a sudden you end up with 40 buckets. But
20 to the extent that there can be greater structure within the
21 structure that's already provided, and consistency in the
22 languages, then as a user, they're going to know what to expect
23 and know better how to interpret that.

24 There was a lot of endorsement of the idea, I think, that
25 Dr. Dieckmann initially proposed, was some kind of a way of

1 conveying not what the risk is, but what the strength of the
2 evidence is. You know, whether you're talking about risk or
3 safety, how much evidence is that based on. And I think that's
4 actually relevant to the last discussion that we got into, that
5 it becomes complex when there is multiple risks going on. But
6 I think that's probably why people like the letter system,
7 because it's summarized, but it did kind of confound risk
8 versus the strength of the evidence, and what Dr. Dieckmann,
9 you know, said better than I am, is that, you know, some kind
10 of way of communicating, you know, how much evidence is this
11 final judgment made on, whether it's a verbal descriptor,
12 whether it's a star system, whether it's a visual analog or
13 something else.

14 I'm going to stop there. You know, at the end, at the
15 very end, everyone's going to -- we'll go around the table, and
16 everyone will have an opportunity to say, you know, this is the
17 most important thing that I think -- that I think you guys
18 should think about, the FDA should think about.

19 So let's take -- I've got 22 after, so let's call it
20 11:30. And do come back promptly at 11:30, and we're going to
21 probably reconfigure the rest of the schedule a little bit, in
22 light of our time constraints.

23 Thank you all very much.

24 (Off the record at 11:22 a.m.)

25 (On the record at 11:31 a.m.)

1 DR. BLALOCK: Let me call everyone back together again, so
2 resuming the meeting. And we are -- you know, we're looking at
3 the schedule and then kind of reconfiguring a little bit
4 because we are running, you know, a fair amount behind, and we
5 don't want to cut off the conversation and the discussion too
6 much. And I really think that this is a really valuable
7 meeting, and the FDA should be getting good feedback.

8 So, with that in mind, we're anticipating that we might
9 run a little bit long, and we polled all the parties together.
10 We might go as late as 10 to 1:00, so 20 minutes long. All of
11 the taxis ready to sweep us to the airport are standing by.
12 They've checked for Dr. Sneed, and that should not be a problem
13 as long as we do promptly at 10 to 1:00. I'm going to end
14 promptly at 10 to 1:00, and everyone needs to be ready to, you
15 know, pack up their bags and get out the door and get to the
16 taxi cabs at that time, the shuttle.

17 So let's go ahead and get started, and we are at
18 Question 3. So 3A. I'll let folks look at that and read it
19 for yourself.

20 DR. BAUR: Are these defined in the PLLR? Is "adverse
21 developmental outcome" defined in some fashion? And similarly,
22 is "limited data" defined in some fashion? We could get what
23 the adverse developmental outcome --

24 DR. PLEASANT: Just quickly, add a glossary.

25 DR. BLALOCK: And, Dr. Yao, you're checking on the

1 definitions? Okay. And the reason I was looking down, there
2 are actually three parts to Question 3, and I think that, you
3 know, we can discuss all three parts at the same time. You
4 know, the first part is really, you know, the language and
5 these terms, and Part B is discuss how language affects
6 physician willingness to treat patients, patient decision
7 making, pregnancy planning and prevention. And then Part C is
8 "Discuss intended and unintended consequences, including
9 prescriber liability, that may occur with certain language or
10 communication approaches." So the focus in Question 3 is on
11 the specific language that's being used, but with that in mind,
12 I think that we can take on all three parts at the same time.

13 So, Dr. Yao, did you find that?

14 DR. YAO: I did, and I can send it as a slide or whatever,
15 but adverse developmental outcomes include the following four
16 groups of developmental toxicities: structural abnormalities,
17 which describes dysmorphology; embryo, fetal, or infant
18 mortality, which is obviously mortality, stillbirth,
19 miscarriage; functional impairment, so that would be something
20 like neurodevelopmental deafness, etc.; and then alterations in
21 growth, so growth restriction, excessive growth, delayed/early
22 maturation. So those are the four general categories of
23 adverse developmental outcomes.

24 DR. BAUR: And are they further broken down when you talk
25 about the risk or the chance by those four, or it's just in a

1 lump?

2 DR. YAO: And just for clarification, we have in general.
3 Unless we've had specific information to describe a specific
4 adverse, we talk about them as the overall group of adverse
5 developmental outcomes.

6 DR. SPONG: Are you ready for comment? Thanks. So a
7 couple of things. One, lumping all that together, to me, is
8 problematic, because again, very, very different. Death is
9 different than a small baby or a large baby or a structural
10 birth defect. So, to me, it would be preferable, as a
11 clinician, to be able to parse that out and say, you know, this
12 is what that risk is; the risk is small for gestational age or
13 the risk is stillbirth or the risk -- and to know the quality
14 of that data as related to that risk. I think it would be
15 helpful to say what "limited data" means, and I appreciate that
16 it's hard to define that, but however you're using that term,
17 we probably should have a definition for that.

18 I think one of the key points that really hasn't been
19 brought up yet, that has been discussed to some degree and is
20 included here in this communication, is the fact that
21 conditions that women have, have different rates of these risks
22 already, right? So your risk of miscarriage if you have
23 diabetes or if you have lupus is different than if you have
24 asthma. And so if you're on a certain medication, it depends
25 on what the underlying condition is to know what that baseline

1 risk is; more than just the 3% risk of anomalies, right,
2 there's all kinds of other risks. And so recognizing that
3 complexity, I think, goes back further into this communication
4 with these words, as well as into the idea of a scale.

5 DR. BLALOCK: Dr. Sneed.

6 DR. SNEED: This has come up several times over the last
7 day and a half, but use of plain language is very important.
8 Use of consistent terms. Number 3 and Number 4, I think both
9 could be said in a more clear manner. And so looking at ways
10 that, you know, have not -- "data have not reported a clear
11 association." Data don't report anything. So think about just
12 in plain English what that says to people.

13 DR. BLALOCK: Dr. Berube.

14 (Off microphone response.)

15 DR. BLALOCK: Dr. Pleasant.

16 DR. PLEASANT: Thank you, and thanks again, Michael, for
17 replacing my microphone.

18 Specificity is one way to successfully navigate complexity
19 and a lack of information. Categorical labels like this tend
20 to work against specificity. I think that's kind of what
21 Dr. Spong was trying to get to as well. So I'm not a fan of
22 any of these. Just to pick on one, "available data are not
23 sufficient to inform the risk," it's not the risk that's being
24 informed; it's the decision about the risk or the benefits. I
25 know that within science we'll talk about informing the risk,

1 but we're not. The risk is the risk. People are informed.
2 And I do find it interesting that you, on B, Part (ii), go back
3 to patient decision making and adherence despite the earlier
4 strong statement that this isn't about people, it's about the
5 healthcare providers, but we're ultimately going to have
6 embrace the fact that shared decision making and empowerment of
7 people, as healthcare seekers, is going to have to be the
8 answer to this.

9 And then on 3C, I don't believe you can talk about any of
10 those consequences if there is no data to indicate that they're
11 there. So that, again, is your biggest limitation, and I go
12 back to specificity and language and say exactly what the
13 evidence says. And whether it's a healthy baby, a graph, or a
14 letter -- A, B, C, or D -- you're still using a method of
15 simplification, which is going to cloud the actual evidence
16 that's there.

17 DR. BLALOCK: Dr. Joniak-Grant.

18 DR. JONIAK-GRANT: I would like to stress that with
19 patient decision making, that if we're talking about shared
20 decision making, that it actually is a shared decision. I'm
21 finding, as more data comes out that's unclear or language is
22 slanted in the negative, for example, saying "available data
23 have not reported a clear association," which sort of gives the
24 idea that there may be an association or they're on their way
25 to the association, that some healthcare providers are backing

1 away from making decisions or giving information. I know
2 plenty of people that have said, well, if you were in my shoes,
3 what would you do? And they get shrugs and responses, or I
4 don't know what to tell you, you have to decide.

5 And so we need to be mindful, too, that as the data is --
6 you know, the more unclear it is, the more some practitioners
7 are just backing away from it altogether and saying you've got
8 to decide what you're going to do, which as a patient who
9 hasn't had years of medical training is a really terrible
10 position to be in.

11 DR. BLALOCK: I actually have no one on my list with
12 additional -- oh, Dr. Dieckmann. Oh, okay. Dr. Dieckmann.

13 DR. DIECKMANN: Nathan Dieckmann.

14 So I think some of the discussion about the specific words
15 here will probably have to wait until you decide, if you
16 decide, on another scheme for representing the strength of the
17 evidence or quality of the evidence, because whatever scheme
18 you choose will probably have some words that will be more
19 consistent across -- because some of these here -- I guess I'd
20 also say, so beyond going and looking at these individually and
21 picking them apart, I'd say that first.

22 The other part, I think, to be clear on is to try to
23 separate out the strength of the evidence and the statement
24 about that and then the claim about the hazard itself. So if
25 you have information about the probability of a particular

1 hazard, you can claim that or you can say that. Then, along
2 with that, you'd have some claim about the strength of the
3 evidence that's underlying that.

4 So I've seen in some of these, as I've been reading
5 through them, I feel that sometimes kind of like with the
6 original pregnancy categories, those things are kind of
7 combined together, both the probability of the hazard and the
8 strength of the evidence, in a single statement, which I think
9 can be confusing even to me, and I study this stuff all the
10 time, trying to separate these things out. So I can imagine,
11 to a prescriber or a layperson, it would be pretty confusing to
12 parse. So I would just try to clearly separate those in
13 whatever scheme that you choose.

14 DR. BLALOCK: One thing that I also wanted to mention in
15 relation to this question, even though you don't ask about, you
16 know, the format of information, I was sort of surprised in
17 reading the guidelines that, you know, there's a lot of
18 recommendations and, in some cases, rules about the content
19 that has to be presented and often, you know, even whether
20 things had to be in a subheader and italicized and things like
21 that, but there -- I did not see any recommendations in
22 relation to presenting things as absolute risk versus relative
23 risk versus odds ratios, and at least in one of the examples
24 there was, in the data, information presented as relative risk.
25 So I'm not going to make any comments related to that, but I

1 think this is the closest in the questions that approaches, you
2 know, sort of the format and if we have recommendations to make
3 regarding how things should be formatted.

4 Dr. Baur.

5 DR. BAUR: So Cynthia Baur. I want to address 3B, under
6 patient decision making, adherence of treatment, and pregnancy
7 planning and prevention.

8 So what we know from research is that when people don't
9 understand things, they end up, you know, misinformed or
10 filling in the blanks with what they already know or, you know,
11 deferring the decision. I mean, there's sort of lots of
12 negative consequences when people don't understand things.

13 So I think that also links in to C. I kind of interpret
14 it differently, I guess, than Dr. Pleasant had. I think the
15 intended and unintended consequences are that if we use
16 communication approaches that rely on like passive voice
17 writing, which a lot of the current labels do, we have a lot of
18 evidence that people don't understand passive voice
19 construction very well. They don't know who the actor or the
20 agent is. And so this goes back to my prior comment about this
21 process bringing transparency to where the data come from, what
22 research actually means. This goes to the comments that
23 Dr. Dieckmann and others have made about the quality of the
24 evidence.

25 So I think these things are all connected in that we

1 continue to kind of perpetuate the black box or the behind the
2 curtain that Dr. Goldman referred to, or the dark room, because
3 people will not have any better understanding of the research
4 process and where these data come from. So a statement like
5 "available data are not sufficient to inform the risk," I mean,
6 there's just all kinds of ambiguity there, what available data,
7 where did these data come from, what does sufficient mean? You
8 know, inform, as Dr. Pleasant said, the decision, not so much
9 the risk. So I think any one of these statements is just kind
10 of rife with ambiguity.

11 So my concluding comment, though, is to loop back to the
12 question about testing, and I think one of the most valuable
13 things this Committee and other committees could do is support
14 the FDA and other federal agencies in this end user testing,
15 because there is a very specific reason, in addition to budget,
16 why agencies don't do more testing, and that's because agencies
17 are subject to the way the Office of Management and Budget
18 interprets the Paperwork Reduction Act. And so if this
19 Committee and other committees were to make the point that the
20 Paperwork Reduction Act really is not applicable to the kind of
21 end user testing that we're talking about, we would be doing an
22 enormous public service.

23 DR. BLALOCK: Dr. Tracy.

24 DR. TRACY: I guess this really comes under B, but I just
25 want to talk a little bit about the language here, first about

1 the outcome. You know, I think a lot of the discussion is sort
2 of at the provider level. I think it's -- you know, in our
3 world of the internet, it's equally confusing to patients, and
4 we're often talking about mom, but also something that I've
5 seen is the dads get confused, too.

6 So a very common scenario might be that somebody is --
7 I'll go with my area of expertise, which is asthma. So they
8 have moderate to severe asthma, they're pretty well controlled
9 under the medicine, I may only see them a couple times a year;
10 they get pregnant in the interval, and they stop their medicine
11 because "I'm going to stop all my medicine because that's what
12 you do when you're pregnant." And they come in, and maybe
13 they're unstable, maybe they're stable, whatever the reason,
14 but they stop and now -- and then as the cases go in this, is
15 sometimes they crash and burn, and sometimes they just kind of
16 fizzle, but a lot of times they would -- in almost every case
17 they would do better if they were still on it. So then I see
18 them in my office, and I say, okay, you know, this is why you
19 should do it, and we'll go through the risk-benefit talk, and
20 they'll go home and say, okay, Dr. Tracy, thank you very much,
21 and I'll start taking my medicine. Then they go home, and they
22 talk to a spouse, and they'll say, "Mary, are you sure you
23 really need that medicine?" And so they'll know it's filled
24 with self-doubt, and a lot of times they'll have gone back to
25 some of the stuff that we're reading about, limited evidence or

1 the like, and they'll say, "Well, you know, nobody really knows
2 the answer to that question." I don't know how we address
3 that, other than in the human interaction at the bedside, but
4 that is -- it's not just limited to the providers here; it's
5 the patients and their families too. I guess I'll save my
6 next --

7 DR. BLALOCK: Dr. Nahum.

8 DR. NAHUM: Thank you. Gerard Nahum.

9 I have two points here, and if you can go back one slide
10 because it was 3A that I wanted to talk about a little bit. So
11 this one is point to the "limited data" piece. I would submit,
12 and I think everybody would probably agree, that data is always
13 limited; we never have it all, right? So, to my mind, data is
14 either sufficient to make a particular determination or
15 judgment, or deficient or insufficient. And, of course, that
16 depends on who's analyzing it, for what purpose, under what
17 sort of system of analysis, and you know, those are
18 determinations that the FDA would have to make in terms of
19 sufficiency or insufficiency. But I don't like the term
20 "limited data" because it applies to all circumstances always.
21 So I don't really know what that means.

22 And my second point is -- and I think Dr. Blalock was
23 alluding to this before. One of the things about this question
24 of risk that keeps coming up and that we've discussed -- and
25 Dr. Slovic has pointed out some very important things about, I

1 would like to make the following suggestion, that there are
2 four kinds of risk, but there's really one kind of risk that
3 anybody's terribly interested in as a patient. And there's a
4 baseline risk, which is population based; there's a
5 disease-specific risk that is specific to a particular class of
6 people within that population, that particular, you know,
7 disease, and there may be a spectrum associated with that,
8 that's associated with the severity of the disease. And then
9 there's a medication-specific risk, and that's what people are
10 interested in here. But when you compare, you know, the second
11 and the third one, it comes down to what I would call an excess
12 risk, and that's usually referred to as an attributable risk to
13 the medication.

14 And I think that's what people are interested in, to frame
15 things in the idea or in the mindset of what is the excess
16 risk. Then you can sort of put that in relative risk terms or
17 hazard ratio terms or odds ratio terms, but that's kind of the
18 crux of what people and practitioners and plaintiffs' attorneys
19 want to know. You know, I say that last thing tongue in cheek,
20 but I think it's actually true.

21 So I'd like to make a plea that those kinds of information
22 be incorporated into labeling of this sort routinely because I
23 think that's what really the issue is here.

24 Thank you.

25 DR. BLALOCK: Dr. Lee.

1 DR. LEE: So I'm going to combine the response from 3A to
2 3B, Item (i), and reinforce what Dr. Sneed said about use of
3 plain language. And when I was looking at this from a
4 perspective of a prescribing physician, I was thinking under
5 what situation would I recommend treatment if I saw this? And
6 when I was looking at this, it occurred to me, this is from
7 very scary to least scary, and I think the fourth one is
8 probably the best option, but I'm not exactly clear. And if
9 that is the case, you know, can you go from being less scary to
10 being reassuring? I mean, is that possible for the FDA to do
11 to convert the fourth one to say there is no increased risk or
12 something like that, rather than saying there is no clear
13 association?

14 DR. BLALOCK: Dr. Yao.

15 DR. YAO: So just a clarification. These statements were
16 not intended to be sort of in a spectrum of more scary to less
17 scary. They're statements that we're using, and we're using
18 them in different situations, and we wanted -- and admittedly,
19 it's hard to get the context because we're pulling these out of
20 the paragraphs that they appear. The way that the comments are
21 being received, anyway, what you're providing us is very
22 helpful, so we'd have you keep going on. We just want to get a
23 flavor for what is helpful about these statements or actually
24 unhelpful.

25 So in terms of to answer your question, Dr. Lee, we do

1 have -- I think we showed it yesterday with the -- it might've
2 been the -- I can't remember with the -- it might've been the
3 Herceptin example, but we have -- when we have data that we're
4 sure that it shows something or we're confident that, you know,
5 it doesn't show something, which is again harder to prove --
6 oh, with the HIV, the HIV drugs. That's in your backgrounder.
7 We have statements that are a little bit stronger than this.
8 These statements tend to appear in that space of uncertainty
9 that we've been focusing on this morning.

10 DR. LEE: Yeah, to follow up on that, I think your goal is
11 to increase potential recommendation of therapy, and if all of
12 these are not -- that the physicians don't recommend, I don't
13 think the differentiation results in the action that you're
14 looking for.

15 DR. YAO: Lynne Yao. I just want a clarification there,
16 too, because I was hearing -- I think all of the comments are
17 really right on, but part of our problem is, you know, this
18 description of what is the outcome or what is the probability
19 or the chance, and then what is the strength of the evidence.
20 So, oftentimes, we're dealing with -- and I want folks, maybe
21 the Committee, to consider this as well. So we understand that
22 it's not necessarily very clear, and it may sway people to not
23 prescribe when it says, "available data have not reported a
24 clear association." But a lot of times when we're faced with
25 the data, we get something that is, you know, 20 case reports,

1 two of which showed a cleft palate, one of which showed a VSD,
2 and another -- and eight that showed nothing or something like
3 that. And so, you know, we feel like reporting on all of that
4 may actually lead people to be more scared, so we're trying to
5 say, okay, what we have doesn't -- is nothing clear, and when
6 we say limited, it's, you know, again, we have not been clear
7 about that metadata piece, or what do we mean when we mean
8 limited. But that's sort of where we sit, and we'd like some
9 advice on how to be more clear when that's the situation,
10 because that's usually the situation.

11 DR. NGUYEN: And I'd like to add, if all of these phrases
12 convey a certain interpretation, like you say, if they're all
13 scary from a prescriber's perspective, we would like to hear
14 that. And then the second part is if you have different ways
15 of phrasing this in a way that's a little more balanced, we
16 would welcome those suggestions.

17 DR. BLALOCK: And that was Dr. Nguyen.

18 Let me summarize what I've heard so far, at least the
19 things that have sort of resonated with me. You know, to start
20 out with, Dr. -- I think it was Spong, I'm not exactly sure,
21 had asked a question for the definition of adverse
22 developmental outcomes and noted that, boy, there's an awful
23 lot of stuff in there, and maybe they need to un-package that
24 into more descriptive terms, and my sense was that the things
25 that are comprised in that umbrella, some are scarier than

1 others.

2 Okay. The second issue, again, that I've heard is that
3 really 2, 3, and 4 are all problematic, that, you know, what's
4 limited and I guess your suggestions, a suggestion from
5 Dr. Nahum on replacing that with something close to sufficient
6 or not sufficient.

7 And the terms "available data are not sufficient to inform
8 risk," "available data have not reported a clear association,"
9 you know, I am virtually certain that if you got 10 clinicians
10 in here, you know, without experience in this area, that they
11 would interpret those phrases in 10 different ways. And folks
12 can have a chance to disagree with that. So, you know, I think
13 -- so what I heard others say was that those, you know, 3 and
14 4, you know, they're just ambiguous. And I'll stop at that and
15 go back to my list.

16 DR. GOLDMAN: Can I ask a point of what -- yeah, that
17 was --

18 DR. BLALOCK: Oh, actually you're next. Sorry.

19 DR. GOLDMAN: Okay, that was going to be my point of
20 order. I mean, I think we have a lot of people that have
21 things they want to say, and we have a very limited amount of
22 time, and I'm not sure, like re-contextualizing what's been
23 said, is I don't know the best use, but just for putting that
24 out there.

25 So two things: I think, in terms of language, you know,

1 based on the papers that I read, and there's experts in this
2 room, but I think one of the things that might be helpful is to
3 say, "available data has not identified a clear association."
4 So "identified" feels a little bit more reassuring to me than
5 "reported." And then also keying off of the language documents
6 that were given of the good article written by one of our guest
7 speakers, "available data are not sufficient to inform the
8 chance of a bad outcome," rather than risk.

9 So, again, I think what we can help contribute to here is
10 specifically this is the language that they're currently using.
11 How can we take this language and shape it in a way that would
12 be helpful? And so just to, you know, sort of make the point
13 about Point (iii), which is pregnancy planning and prevention.
14 So there are two drugs that we use: One is a Category X, which
15 is otherwise very safe, and the others are Category C, which is
16 safer in terms of pregnancy but is associated with a fatal
17 brain infection. And in my practice in neurology, doctors are
18 widely refusing to give the Category X medication to any woman
19 of childbearing age. So she has a uterus, she can't get the
20 safer medication, and she's given the medication where there
21 are reported cases of brain death.

22 So, just again, to put this in context, so the idea about
23 how this language is couched is radically going to affect. So
24 those are the two examples that I thought of, again, not being
25 a language expert, but we have some around the table. Maybe we

1 can start to give some very concrete examples to this specific
2 language.

3 Thank you.

4 DR. BLALOCK: Dr. Wolf.

5 DR. WOLF: I mean, I'll just be very quick. I mean, I
6 think this is a semantic issue that we probably will never get
7 full agreement on as every variety of clinician may --
8 depending on their experience with medications, may have
9 different interpretations, so there needs to be some
10 accompanying clarification of the interpretation of whatever
11 term you use and also to try to be consistent. I think that's
12 one thing I kind of feel that's coming from it, and I
13 completely understand how these things arise, and they should
14 be somewhat kind of tailored to each case as you're learning
15 it. But having that expanse to see how you use the language
16 across multiple prescriber inserts or, you know, not just in
17 the PLLR space, might be at least helpful to kind of start
18 giving people a bit more a frame.

19 And just quickly to move to my few comments on 3B, I mean,
20 I think -- and this is what I was trying to get at yesterday a
21 little bit is as much as we're focused on the prescriber
22 insert, the ability to affect physician behavior in terms of if
23 the goal really is to at least not remove these as treatment
24 options too soon in the context of each case -- you know, in
25 lieu of the fact that there may be insufficient evidence, you

1 do need to provide some information in a way that knowing that
2 how you inform that treatment decision making by how you
3 release this information may affect on how confident they may
4 feel about communicating to the patient that uncertainty so it
5 can become a decision-making -- you know, it could be a shared
6 decision, I think.

7 I think what we hear a lot from the evidence that we've
8 been focused on, just on 3.ii and 3.iii, is when this
9 information is insufficiently explained, especially if you do
10 choose to prescribe a medication or you choose to de-prescribe
11 a medication due to insufficient evidence, that may be what we
12 would not be -- what we're trying to avoid. I think that does
13 send a message to patients that does lead to failed treatment
14 initiation and in cases of giving a medicine that may have
15 risks that are not properly explained as well as obviously
16 problems with adherence. We've seen this time and time again.

17 DR. BLALOCK: Thank you.

18 Dr. Joniak-Grant, did you have a comment? Okay.

19 DR. JONIAK-GRANT: Kind of opening off of what Dr. Baur
20 said, we've got to get -- I think it's really beneficial if we
21 have this language as plain as can be, because when someone's
22 sitting there, they want to almost -- they're skimming through.
23 They're trying to see -- they want to tell their patient,
24 they're probably running behind, and if there could be some
25 consistency in this, so something just even as simple as "data

1 suggests there is an association," the "data suggests it's
2 highly likely there's an association," the "data suggests there
3 may be an association," to just have those words where it's
4 just like boom, boom, boom, boom, because when you start
5 getting into all of these other things where sometimes it's,
6 you know, "do not reliably inform," "preclude a reliable
7 evaluation," it really starts going, well, what does that mean?
8 And so if you could have, you know, just sort of similar
9 phrases that you use, that would help with consistency as well.

10 DR. BLALOCK: Dr. Spong.

11 DR. SPONG: Thanks. I just wanted to get back to the
12 question of, well, if we have some data, how do we couch that,
13 how do we put it out there? And I think one of the important
14 pieces with that is to put it with what is that background
15 risk. So you may have had, you know, six cases of whatever,
16 neural tube defects; the baseline rate of neural tube defects
17 in this population is X, so that it gives you an idea of is
18 that really increased or not. And to couch it with this is,
19 you know, very limited information but this is what we have,
20 and to provide that background information of not only in the
21 general population but in that specific disease population of
22 what it is they're getting treated for.

23 DR. BLALOCK: Thank you.

24 And we've got two more comments for this question.

25 Dr. Pleasant and Dr. Rimal. So Dr. Pleasant first.

1 DR. PLEASANT: Sure. This is quick. I just want to
2 reiterate it, because I said it informally, a glossary will go
3 a long way, whatever words you choose. And you must do it in
4 both ways, because half the people hate going to a separate
5 glossary and then coming back to the document, and the other
6 half think that's absolutely the ideal way to go. So you need
7 both, that separate glossary and rollover so that when people
8 hit the phrase, it pops up, and then that's where you can give
9 sort of the caveats and the added information around whatever
10 phrase you ultimately use, because we're too large of a group
11 to group edit.

12 DR. BLALOCK: Thank you.

13 And Dr. Rimal.

14 DR. RIMAL: So this is my attempt to convert 1, 2, 3, and
15 4 into English, and my feelings won't be hurt if you don't
16 think this is a good conversion. I'll start with 3 and 4,
17 "available data are not sufficient" and "available data have
18 not reported." I thought it might be easier if more -- it
19 might be simpler to say, "based on what we currently know,"
20 whether taking this drug leads to a bad outcome or not, so
21 "based on what we currently know." For number 2, "limited
22 data," I thought we might say, "we know relatively little."
23 And then number 1, "adverse developmental outcome" -- "negative
24 outcome for you and your baby" or "negative outcome for you or
25 your baby."

1 DR. BLALOCK: Okay. I'm going to wrap this question up,
2 then. I'm not going to -- I already sort of summarized, so let
3 me just add, though, that we've made some great suggestions
4 here, and you know, all of our suggestions are kind of
5 speculation, though, and nothing replaces user testing. And I
6 know that there was a suggestion that this was the user
7 testing. User testing is really the intended audience, and
8 this is not the intended audience, so I just wanted to clarify
9 that.

10 So let's move on to Question 4, and I'll just tell you, my
11 math to getting to 10 minutes to 1:00 is that let's try to
12 discuss this and end about 12:30, because we probably do need
13 that last 20 minutes to go around the table and all of you send
14 your take-home messages to the FDA.

15 So Question 4: Suppose FDA has some evidence of a
16 potential drug safety issue for pregnant women, but the
17 evidence is limited and preliminary. What should FDA consider
18 in deciding when and how to communicate to the public about
19 what it does and doesn't know? And what should FDA consider in
20 deciding whether to wait?

21 And there's a Part B to that as well. I think we need to
22 do them at the same time. So suppose FDA has determined that a
23 communication about the potential for adverse effects is
24 needed, is necessary. What additional comments do you have
25 about how FDA can communicate to maintain a balanced assessment

1 of the risk-benefit and to minimize unintended consequences?

2 Comments? Dr. Tracy. This is Dr. Tracy.

3 DR. TRACY: Dr. Tracy.

4 Actually, it's really kind of a question. I wrote this
5 down yesterday as I was looking through 4, and my first thing
6 is what we're kind of getting here is commonly referred to as a
7 safety signal, and I just wondered, for a lot of this stuff, is
8 there any regulatory or statutory requirements for reporting
9 for this that we're aware of?

10 DR. NGUYEN: There certainly are requirements in place --

11 DR. BLALOCK: This is Dr. Nguyen.

12 DR. NGUYEN: Oh, I'm so sorry. Christine Nguyen, FDA.

13 There are regulatory requirements in place where, you
14 know, if it's a serious adverse event that's not in the label,
15 that has to be reported to us within 2 weeks. There are annual
16 reporting requirements. So there's a host of different
17 regulatory requirements that can provide evidence of a safety
18 signal. Certainly, if there's a publication out there, that
19 can provide a safety signal. So these sources can be numerous,
20 some of which are under FDA requirements and some are not.

21 DR. BLALOCK: Dr. Nahum.

22 DR. NAHUM: Thank you. Dr. Nahum.

23 I think that this is a very circumspect question because I
24 think that if you look at industry, and if you look at
25 pharmacovigilance organizations, trying to detect and report

1 safety signals is what they do for a living, and these are
2 very, very large organizations that are trying to assemble and
3 collate and correlate information to decide when a signal is a
4 really a signal, when it has risen above the level of noise,
5 background noise, and it is a very, very difficult task. And I
6 think the FDA is faced with the very same difficult task.

7 And I guess my one real comment here is, you know, you
8 have to determine always what the background incidence of a
9 particular issue is before you can decide whether a signal is,
10 in fact, there or not, and that's when, you know, something
11 rises above the background noise.

12 And so in answer to Part A, what should FDA consider in
13 deciding when and how much to communicate to the public, if FDA
14 were simply to be a sieve and to report every case to the
15 public, the public would not know what to do with it. They're
16 not very conversant in what the background risk is, what the
17 noise is, or when a signal is a signal. So I think that the
18 FDA cannot be a sieve and just communicate every case that
19 comes through to them. And, in fact, there was some evidence
20 several years ago that the FDA was not interested in putting
21 single case reports or case series into labeling just for that
22 reason.

23 So I don't think that there is a simple answer to this
24 question. I think it's actually very complex, but I think it
25 says that, you know, FDA is the expert that has to decide when

1 a signal is a signal and when to communicate it. And so asking
2 us as a committee to weigh in as to exactly when that occurs in
3 every circumstance is a little unfair, and I don't think we can
4 make that determination. And I think I would say that the FDA
5 has to process this information in each and every circumstance
6 and decide when a signal is worth reporting so that people are
7 aware of it and it's not just background noise.

8 DR. BLALOCK: Dr. Joniak-Grant.

9 DR. JONIAK-GRANT: I agree that the FDA needs to decide
10 when a signal is a signal, but I think they also should be
11 mindful of erring on the side of transparency. If we want the
12 public to trust what's coming out and the information that
13 they're getting, I think it's really important that the FDA not
14 sort of stray into the realm of being paternalistic, and I know
15 it's a very fine line, and it will vary from case to case, but
16 I think we have to be mindful of that because once sort of the
17 reputation goes out the door, it's hard to really have an
18 impact with anything, especially if patients think things have
19 been being hidden from them. And how to go about doing that,
20 there are plenty of other people here that are well more versed
21 in that than I am.

22 DR. BLALOCK: Yeah, I think that you just said, you know,
23 err on safety. Yeah. And I'm just going to interject a
24 comment as well because, you know, to some extent we're talking
25 about medication risk, but there are the disease risks as well.

1 So when you think about erring on safety, if you had
2 information about, say, a drug that people needed and they
3 discontinued, then you could harm people. And so, you know,
4 it's a little bit of a misnomer to say erring on safety by
5 releasing more information.

6 DR. JONIAK-GRANT: Respond to that quickly.

7 DR. BLALOCK: Sure.

8 DR. JONIAK-GRANT: I hear what you're saying, but I also
9 think, in some of these certain cases where they do have
10 chronic illnesses that may be complicated, they're going to be
11 kind of a better consumer, they're typically more aware of the
12 information, and they're better at balancing the information.
13 So I don't know if that would necessarily apply in all cases.

14 DR. BLALOCK: And I definitely agree in principle, but I
15 just think it's easy to forget the disease risk.

16 Dr. Pleasant.

17 DR. PLEASANT: Transparency wins.

18 DR. BLALOCK: That was the shortest of the day.

19 Dr. Lyerly. You get a prize.

20 DR. LYERLY: I'm not going to try and compete with
21 Dr. Pleasant, but I agree that transparency is important, and I
22 am not a fan of paternalism. But I also would say that I would
23 agree that there are harms of indicating a signal when there
24 isn't a real one, and once indicated, especially in the context
25 of pregnancy, it's really hard to scale back on it.

1 I think about a lecture I've given about inclusion of
2 pregnant women in research, and the person who is a full
3 professor at UNC spoke to me about the fact that she still
4 worries about the effect of Bendectin on her grown child and
5 she, you know -- so anyway, these -- you know, there's an
6 implicature to the recommendation and the language that FDA
7 uses, which is very powerful, and I think it's important to
8 keep that in mind.

9 I think some of the things that are then worth considering
10 is if you see a signal, how likely is this -- is the worry
11 about it to change. So if you see a signal and you think
12 there's a very high likelihood that it's not a real signal,
13 then I think it's worth thinking about whether it's worth
14 communicating yet. And, also, I think what the implications of
15 being wrong about it -- and I think this partly has to do with
16 the disease state, but there's also public health implications.
17 So if that changes public health programming and populations
18 are harmed by this, then I think that these things just need to
19 go into the calculus.

20 The last thing I'll say is, in my looking at these kinds
21 of decisions, I am not clear myself about the ways in which FDA
22 makes these decisions, which has led to confusion on my part
23 about how to interpret those worrying communications, and while
24 I hear that considering this on a case-by-case basis may be
25 necessary, it seems like there may be some principles that

1 could be developed and made clear to the public or the
2 prescribers so they can understand what it means when you see
3 this kind of warning.

4 DR. BLALOCK: Ms. Robotti.

5 MS. ROBOTTI: This is a partly a question. When the FDA
6 sees a signal that they think is significant or potentially
7 viable or reliable, do you send an inquiry to the
8 pharmaceutical company asking about it? Is there a moment
9 where you take action?

10 DR. NGUYEN: Christine Nguyen, FDA.

11 So if we see a signal, do we go back to the sponsor and
12 see if there are additional data?

13 MS. ROBOTTI: Certainly, at some point, if you see a
14 signal -- I don't know if it's a lot, a few, a little, signals
15 that there's a problem with a drug, you know, from whatever
16 sources, that in VAERS, you see a lot of morbidity on a VAERS
17 thing. At some point you're going to go to the pharmaceutical
18 company and ask them about this, I assume?

19 DR. NGUYEN: Yes, that is correct. We actually go to
20 multiple sources to get information. Certainly, the company
21 tends to have a lot of information --

22 MS. ROBOTTI: Right.

23 DR. NGUYEN: -- that we would review. We also communicate
24 with our agencies overseas, so we go to multiple places for
25 information.

1 MS. ROBOTTI: Absolutely. So my suggestion would simply
2 be that you're the best source of when to tell doctors and
3 pregnant women, and my suggestion would be that you release and
4 put on the PI that an inquiry has been sent to the
5 pharmaceutical company on this particular signal. You know,
6 it's being investigated. And that would be transparency.

7 DR. BLALOCK: And I'm, you know, looking at the time. I'm
8 going to sort of start to wrap this up. I've got, I think,
9 five folks who would like to make comments, and I think I'll
10 draw the line there.

11 So Dr. Slovic.

12 DR. SLOVIC: This is a class of, you know, signal
13 detection and response that goes beyond FDA. It happens in
14 many domains like, you know, auto safety; you spot like the air
15 bag recall, you get some incidents of anecdotal evidence, and
16 have to -- and it starts to build, and at some point, you have
17 to take action. It happens with regard to reports of disease.
18 Like a few years ago it was thought that eating British beef
19 caused this brain-wasting disease. So I would say, what should
20 be considered? Well, the first thing is you have to take these
21 reports seriously and quickly convene, I would say convene some
22 task force or expertise to scrutinize the evidence and evaluate
23 it for its reliability and import. You need to respect the
24 fact -- this has been alluded to -- that your assertions or
25 decisions on this are going to have tremendous not only health

1 impacts but economic impacts. So the British beef industry
2 collapsed almost instantly on the report of this.

3 When Alar was reported to be carcinogenic to children in a
4 TV program, overnight the -- you know, there was a huge effect
5 on the apple industry. So we call this is a social
6 amplification of risk. There are ripple effects, you know,
7 that are very broad, not only affecting patient safety but the
8 manufacturer and other sorts of things. But there are many
9 instances of this, like emerging diseases. So maybe one can
10 learn also by looking more broadly at how risks are managed.
11 When things emerge and become signals, how do you respond to
12 those signals?

13 DR. BLALOCK: Dr. Spong.

14 DR. SPONG: Thank you. I just want to make the point that
15 not only would I recommend that that signal is taken in the
16 context of the condition in which the signal is occurring, so
17 you know, obviously, again, different diseases have different
18 risks, and so taking that into context, but I also am assuming
19 that this is specifically for the label, right? This is not
20 for sending out an e-mail or sending out a notification or a
21 black box warning or something that you were immediately --
22 this is for what's going in the label, correct?

23 DR. NGUYEN: This is Christine Nguyen, FDA.

24 This is actually more general communication. For example,
25 we may have two publications that's -- press interest.

1 DR. SPONG: Right.

2 DR. NGUYEN: When would it be helpful or not helpful to
3 come out with something early, because one of the feedbacks we
4 received by being transparent -- and say there's a signal,
5 we're looking into it, is prescribers and patients say, well,
6 thanks, FDA, what do we do now?

7 DR. SPONG: Right. Okay, that helps a lot. I think the
8 other piece that we have to take into context is what does that
9 signal mean relative to the volume of that therapy being used?
10 So, you know, you often will have the patient who's on
11 something, and she'll say, you know, I've read this on the
12 internet. And it's like, well, how many other people are
13 taking that medication that did not have that outcome? So I
14 think it's really important to put it into context of the
15 volume of use of that therapy as well.

16 DR. BLALOCK: Dr. Goldman.

17 DR. GOLDMAN: Yeah, I was just going to specify that I
18 think however you decide when to communicate it out, I would
19 encourage some reflection and discussion within the FDA about
20 the nimbleness with which you can manage these package inserts,
21 because you're spending a tremendous amount of time and effort
22 and discussion around making them a sentinel source of data and
23 information, but then they can't be updated in any meaningful
24 kind of real time. There are set places and moments and
25 negotiations that occur for it to be updated. So I think, in

1 order to make the package insert a place of reliable
2 information for providers, you also need to give some thought
3 to how and when you decide to update it as the information
4 comes in and it doesn't become a one-time thing where it's no
5 longer the real-time place to get information.

6 DR. BLALOCK: Dr. Tracy.

7 DR. TRACY: Dr. Tracy.

8 I just have a couple of points. First of all, with regard
9 to kind of the transparency issue, it's been my experience that
10 the FDA actually has a fairly low bar of notification of
11 things. I think that they want to be transparent, and I think
12 they've done a good job. I think one of the mechanisms that
13 they do this is -- and Dr. Goldman kind of touched on this
14 briefly, is black box warnings come out. And so those safety
15 signals are significant enough to generate enough interest that
16 they change the PI.

17 In the case of one of the meds that I use, that black box
18 was in place for 20 years. It was followed by the FDA. They
19 asked industry to act on this and see if they could answer the
20 concerns. It took a while. The FDA was then satisfied after
21 those concerns were met, and they removed the block box, but in
22 fairness, it did take almost 20 years to do that. So not
23 particularly nimble, I would say.

24 The other thing which I think we also have to remember,
25 too, is -- and we kind of touched on this a couple of times, is

1 the idea of class considerations. So there's two drugs that
2 pop into my mind. One is really any corticosteroid, and the
3 other is tacrolimus. Now, these drugs are used in multiple
4 forms, but if you think about it, tacrolimus is used mostly for
5 transplant rejection mostly, but it's also -- so it has a
6 different safety profile whether it's given by IV, orally, or
7 topically, and yet the risks that are associated with that are
8 really very relative. And the same thing can be said for
9 corticosteroids. An intravenous corticosteroid has a different
10 side effect profile, pregnant or not, than say a topical one.
11 And I just want to make sure that -- and I don't -- there's
12 probably some statutory requirements there with regard to
13 labeling, but we have to kind of keep that in mind, too.

14 DR. BLALOCK: And Dr. Nahum. And then we'll go to closing
15 up.

16 DR. NAHUM: Thank you. Dr. Nahum.

17 I only have two brief points. One is to follow up on what
18 was said by Dr. Nguyen, by FDA, and there was a question about
19 signal detection, and I just want to say that as far as
20 industry is concerned, you know, there are large
21 pharmacovigilance departments that take this issue very, very
22 seriously. They're all the time constantly collecting cases,
23 spontaneously reported cases, not just the ones that go to the
24 AERS database and FAERS database that FDA has, but also those
25 that are reported directly to the company and through other

1 intermediaries.

2 So it is the richest database, perhaps, the spontaneous
3 adverse event reporting, that there is, and there is something,
4 and it was alluded to during one of the presentations
5 yesterday, that's called a company core data sheet, and this is
6 constantly updated with this additional information, and
7 determinations are made not just by pharmacovigilance but also
8 by labeling committees, or equivalence thereof, to determine
9 when and if the FDA should be approached with, you know, a
10 labeling change that is either a pre-approved labeling change
11 or sometimes, you know, one that's effected immediately without
12 even being in concurrence with FDA, depending on how serious we
13 think it is. So we do this, and we do it all the time.

14 Now, the reason that -- you know, I heard the comment
15 about everything sort of being passed on immediately to the
16 public when it arises. The difficulty with this is when
17 pharmacovigilance departments, such as ours, are asked to
18 evaluate the full weight of all the evidence that's available,
19 whether or not something is or is not a signal by FDA or by
20 other regulators around the world, the great, great majority of
21 the time the conclusion that's reached by everyone -- the
22 company, the sponsor, and the regulators -- is that there
23 should be no change to the benefit-risk ratio for the patients
24 who have particular conditions and are being treated with the
25 drug or biologic. So that's the reason why this information

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 isn't immediately passed on, because in the great majority of
2 instances, it turns out not to be the case. So were we to pass
3 it through immediately, we would be misinforming the public and
4 misdirecting them potentially, both providers and people who
5 take the medications.

6 The last comment that I have is just that -- and
7 Dr. Slovic made a good point, I thought, about signal detection
8 being something that everybody is doing all the time in various
9 industries, just not being specific to pharmacovigilance or
10 adverse event reporting or teratogenicity or to the FDA. This
11 goes back really to a seminal paper in 1950 by Shannon and
12 Weaver about how to differentiate signals from noise, and we
13 have all been working -- there's been lots and lots and lots of
14 work in many domains since, but it's not new, and it's not a
15 new issue we're wrestling with here and now, but it comes up
16 all the time.

17 Thank you.

18 DR. BLALOCK: Okay. And, again, I'll just very briefly
19 summarize and try to do that in less than a minute. You know,
20 a very hard question. No easy answer. And I think I
21 definitely hear sort of the call for transparency as well as,
22 you know, some of the issues which maybe sometimes, you know,
23 it's not clear enough if it's a risk, so not wanting to be a
24 sieve. So it's clearly sort of a definite, a delicate sort of
25 a risk-benefit calculation in terms of releasing the

1 information. So I think I will leave it at that because I
2 think everyone expressed things pretty clearly.

3 I'm looking at the watch again. I've got exactly 12:30.
4 I'd like to start with Dr. Rimal, and we'll go around the table
5 and, you know, looking at the clock, we've got 20 minutes to do
6 this, tops, so if everyone can take 30 seconds to 1 minute, 1
7 minute tops, for a final recommendation, you know, of all the
8 things discussed. You have a most important final
9 recommendation for the FDA.

10 DR. RIMAL: I have a part A and a part B. Part A is I
11 think we've talked about these various forms, which is to adopt
12 a shared decision-making model, and I go back to what I was
13 saying earlier about providing guidance to the provider to
14 effectively communicate with their patients.

15 And part B is we talked a lot about benefits and risks and
16 talking about benefits first, risks first, etc., it seems to me
17 that there are two parts to that. One part is benefits and
18 risks of taking the medication or continuing the medication
19 that needs to be talked about, and then the benefits and risks
20 of not taking the medication that also needs to be talked
21 about.

22 DR. BLALOCK: And please be sure to say your name, and I'm
23 not going to call on folks, but please be sure to start with
24 your name.

25 DR. WOLF: Mike Wolf. I could say ditto, but just a more

1 structured approach to -- again, it may be a semantic issue. I
2 do think you need to clarify what any new categories or new --
3 you need to be consistent in how you communicate the degree of
4 evidence available so it doesn't become -- and fall back into
5 what you had said was kind of a disaster before with A, B, C,
6 D, X category, allow for it to be tailored and not regulate
7 medicine. But, also, you do need to link it to helping them
8 also communicate that uncertainty, I think, to the patient.

9 DR. WINTERSTEIN: Almut Winterstein. I agree that there
10 should be some discrete categorized system. This is not the
11 label, but that is something that would reach providers much
12 better than anything that you would have on the label. I think
13 that the comprehensive approach that is taken in the label
14 makes sense, and it's consistent with the other pieces in the
15 label that don't talk about pregnancy but everything else. So
16 from that perspective, there are so many considerations that go
17 into this that I would not necessarily say anything should be
18 different for pregnancy.

19 With regard to talking about the risk of not taking, the
20 information, I would again caution about the FDA's risk of not
21 taking, the information could span an extremely broad range of
22 indications and are extremely difficult to handle, and they
23 would not differ from -- that is, again, something that the FDA
24 typically does not do in the label. I mean, that's not only
25 related to pregnancy; that would relate to anything else that

1 would essentially target patients. Talk to a patient about
2 noncompliance; if you don't take this medication, then your
3 disease will get worse, or anything like that, and I think that
4 is just an expansion into a topic area that doesn't really
5 belong in the label and that does belong in the hands of a
6 provider.

7 With respect to the information that is in the label, I do
8 see concerns about updating the information as new pregnancy
9 information becomes available, and that, of course, would
10 relate also to any kind of discrete system that is used because
11 we do see the lack of updates quite frequently, and it's very
12 difficult, and I completely appreciate this for the FDA to stay
13 on top of this.

14 DR. SNEED: Jeannie Sneed. And I'm already starting to
15 see consistencies in what people are saying. It's a real
16 balancing act, and so I don't -- I'm not jealous of the fact
17 that the physicians in this room, either the ones at FDA or the
18 ones that are in practice, have this balancing act
19 communicating benefits as well as risks to patients. So I
20 would just really encourage you to talk about the benefits and
21 then the incremental risks and make sure that that's well
22 known. We're not always going to have perfect data, but using
23 the best available data, developing some clear, consistent
24 messages, plain language to communicate those outcomes.

25 DR. NAHUM: Dr. Nahum. Just a few major points. I'd like

1 to see the FDA define what a minimally clinically important
2 difference is in terms of risk for various sorts of adverse
3 outcomes, and this includes teratogenic outcomes of various
4 sources or various types, I should say, and that may be
5 variable depending on the severity of the adverse outcome
6 itself. I'm very mindful of the fact that it's impossible to
7 prove a negative, so nobody can ever say that something is safe
8 in an unqualified way with a capital S, but it would go a long
9 way, I think, for FDA to incorporate in their labeling the
10 issues I brought up before about biologic plausibility; does
11 something get across the placenta or does it get into breast
12 milk, and if not, it's not that much of a worry or should not
13 be.

14 And, lastly, I think that the suggestion that I floated
15 before is really critical, to incorporate in the labeling what
16 a baseline risk of teratogenicity is in various categories,
17 what disease-specific risks may be in terms of the increase
18 that they may present, medication-specific risks, and then
19 excess risk. And that can be in the form of an attributable
20 risk, a relative risk, a hazard ratio, an odds ratio, whatever
21 kind of data is available.

22 Lastly, there should be additional structure in the PLLR
23 system so that people know where to look and there's
24 consistency between and amongst labels so that people are not
25 confused when reading them.

1 Thank you.

2 MS. DUCKHORN: Hi, this is Jodi Duckhorn. Dr. Kreps had
3 to leave, and he asked me to share with you his last two
4 thoughts. One is establish a process for gathering additional
5 information from expert sources about drugs that are difficult
6 for them to classify. The expert sources can suggest best ways
7 to classify the safety of these drugs given current evidence.
8 And, two, establish a process for regularly seeking feedback
9 from intended users of current drug classifications and using
10 this information for refining the information they provide.

11 Thank you.

12 DR. SPONG: Thank you. Cathy Spong. So just very
13 quickly, I would recommend consistency in the label, both in
14 structure and in language, to provide context for the animal
15 data that is included in the label, to incorporate that disease
16 background risk, both baseline and then the disease-specific
17 risk, and to recognize that not all risks are the same and we
18 can't just lump those together. I'm going to give a plea not
19 to forget lactation and that lactation is often forgotten, and
20 to include lactation registries where you can so that we
21 educate both the provider and the public that the safety bar
22 has already been met for both pregnant and lactating women, and
23 that we provide this information in a provider-friendly method
24 using the latest technologies so that providers can get this
25 information in a reliable and consistent way, and then testing

1 these messages as we can as you move forward.

2 Thank you.

3 DR. BERUBE: Dr. Berube. Three comments as well. First,
4 test when you can, and do it concurrently. You don't have to
5 delay everything; you can do it simultaneously. There's a lot
6 of ways to do this. There is a huge literature out there in
7 health risk communication and social sciences that if you tap
8 on, I think, would be incredibly useful in coming up with
9 unique ways of doing this type of work.

10 The second thing I want to mention is if you are going to
11 try to find a way to weight the quality of the data so you give
12 information to the prescriber, which is going to be more useful
13 to them, please don't try to reinvent the wheel. There's a lot
14 of these systems have already been done for a lot of different
15 fields, everything from big data in national security all the
16 way through other areas of toxicology.

17 And, finally, as a warning, I mean, I've been in
18 nanoscience for the last 20 years and have worked with
19 thousands of researchers. I'm one of the few social scientists
20 in the Society of Toxicology and probably the only one who's
21 ever published in *Nanotoxicology*, and there is an upcoming set
22 of drugs going onto the market which cross the blood-brain
23 barrier which offer huge treatments for a whole bunch of
24 diseases we haven't been able to treat before, but one of the
25 side effects is it also crosses the blood-placental barrier.

1 And you're going to have a whole set of challenges in the
2 future that are going to be enormously, enormously challenging.
3 Get ready for it, and good luck. Call on us when you need us.

4 DR. BAUR: Cynthia Baur. A three-part recommendation:
5 One, use available guidelines and tools, like the plain
6 language guidelines and the CDC index, to accurately simplify
7 and communicate drug safety info; investigate and test new
8 heuristics -- and I use that in the plural -- acceptable to
9 different end users; and the third one, enlist professional
10 societies, like ACOG and AAFP, to use their boards and members
11 to provide regular feedback on how the label is working in the
12 field.

13 DR. DIECKMANN: Nathan Dieckmann. The first thing would
14 be to make sure to separate out the probability of a hazard or
15 something that happened from the quality of the evidence that
16 underlies that. You could certainly be in a situation where
17 the probability can't be estimated and just be honest about
18 that. That's blank. And then you have a certain amount of
19 strength of evidence beyond that.

20 The other idea is for information that you expect
21 prescribers to use at the same time, put it closer together in
22 the message and make it clear. So if the base rates need to be
23 used, which they should be, in the interpretation of the excess
24 risk that may happen, make sure that that's completely clear,
25 that information is close, and potentially provide little

1 nudges in there toward promoting shared decision making as much
2 as possible, something like this information should be
3 interpreted in light of the base rates and discussed in
4 reference to the patient's values or something along those
5 lines. So just make sure to include those things as much as
6 possible and spell out that process that should be taken.

7 MS. ROBOTTI: Suzanne Robotti. Many excellent points
8 already made. I'd like to push the FDA to include what
9 information we do have, aggressively seeking out other sources
10 versus the traditional sources. I'd encourage you to test the
11 format of the new labeling and get feedback, not only from
12 focus groups like this, essentially access from larger groups
13 of doctors. I thought the concept of addressing the speed and
14 frequency, the nimbleness of updating labels is a key thing
15 probably outside of this, but what good is this label if it's
16 out of date? In this day and age, we should be able to update
17 things more quickly. I continue to be shocked that the FDA
18 does not acknowledge that pregnancy changes all aspects of the
19 human body and tie that to the fact that most drugs, drug
20 approvals, have very few subpopulation divisions. So to
21 approve a drug and assume that it's fine for pregnant women, I
22 do not think that that should be the assumption, and it should
23 be acknowledged that it's not. And transparency, transparency.

24 Thanks.

25 DR. LEE: This is Charles Lee. I just have a single

1 recommendation, and that is to include a sentence at the
2 beginning of the narrative that is consistent across PIs in
3 plain language that summarizes the risk so that it can be used
4 by prescribers to relatively quickly compare the safety or
5 uncertainty of the data of one drug to another.

6 DR. BLALOCK: And I don't have anything to add, so I will
7 pass to Dr. Coombs.

8 DR. COOMBS: Tim Coombs. I would just like to say that
9 I'd like to see that you keep the potential benefits of
10 treatment prominently in the design of the message.

11 DR. GOLDMAN: Okay. So I've just been looking up a couple
12 of the therapies. So, in summary, I think one thing that I've
13 identified is to sort of streamline the process. So there are
14 at least two drugs that were both -- the PI was updated
15 December 2017, but one was updated within the PLLR and the
16 other was not. And so I don't know if every time you're
17 updating, but to streamline the process so that all of them --
18 if you're updating the label for any reason, you're then
19 creating the PLLR as an integrated piece. That may bring
20 things faster into the pipeline in that 2020 deadline.

21 Two is to encourage some thoughts about a point-of-care
22 kind of distillation of information, not in substitution to the
23 vignette or narrative prose but as an augmentation.

24 And then, three, to broaden the opportunities to
25 contextualize lactation, whether that's size of the molecule,

1 what we know, because I think even a paucity of data is more
2 than what we currently have for these women.

3 DR. PLEASANT: Andrew Pleasant. I'm always jealous now
4 because you guys get the fun part, right?

5 (Laughter.)

6 DR. PLEASANT: No, I am not joking actually. On a larger
7 level, just to say it once out loud, ideally we could open up
8 an evidence-based, an evidence-gathering regulatory scheme of
9 focus on pregnancy and lactation without creating huge amounts
10 of social and political unrest, but that's probably not going
11 to happen right now. Just to put the ideal out there.

12 So just I would encourage you to consider this discussion
13 as a sample of data and ask yourself is it normally
14 distributed, are we representative, your categories of
15 analysis? Gender, clearly. Age, professional area of
16 specialization, outlook on life, right? Apply qualitative
17 analysis best methods to what we've said over the last 2 days;
18 where's the overlap, where's the consensus? Remember, just
19 because there's an outlier doesn't mean it's not important.
20 Remember, sometimes what nobody said is a very productive area
21 of analysis versus what was said and how it was said. And
22 just, finally, let me know how I can help.

23 DR. LYERLY: Again, I think lots of great things have been
24 said. I want to concur with others that structured and
25 consistent language could greatly improve the labeling. I also

1 think communicating the view of the FDA that the drug is
2 approved for a general adult population which includes pregnant
3 women. I also think there are some other -- there is some
4 other language that could be included in the preamble. An
5 example of that would be emphasizing that risks should be
6 considered in the context of patients' lives and health
7 situations and that patient values are important to decision
8 making. And, finally, that pregnancy -- remember that
9 pregnancy introduces particular risk distortions that are not
10 the same as other health contexts, and it is worth keeping
11 these in mind when developing language and tools going forward.

12 DR. SLOVIC: Paul Slovic. I'm struck by the imbalance in
13 the discussion over the last 2 days towards risk rather than
14 benefit. You know, obviously, it's a balancing situation, but
15 I think we have to be careful not to leave the benefits hidden
16 and underappreciated while we focus on the risks. Both are
17 obviously important.

18 Second is to appreciate that the human mind deals with
19 risk primarily as a feeling, not as the result of rational
20 calculations, and to think about how the language and other
21 aspects of the labeling play upon our feelings, which will
22 ultimately influence our behavior. So as we indicated earlier,
23 words do matter, and words that might seem identical in terms
24 of meaning may convey very different feelings. The same thing
25 with representations of data.

1 Finally, just to echo what has been said about the
2 nimbleness issue, we're in a new world of information creation
3 and dissemination that is digital, and I think the FDA should
4 be looking ahead towards the next generation of how we create
5 and disseminate information.

6 DR. HOWLETT: Elizabeth Howlett. Again, I would second
7 what the other members of the Committee have said and just
8 really emphasize the need to reduce the ambiguity of the
9 information that's now available, perhaps by some sort of
10 categorized system, graphical displays, and so forth along that
11 line. Of course, being as transparent as absolutely possible
12 is important to decision makers as well.

13 And then I would also double the point that Dr. Slovic has
14 just made, and that is there's multiple sources of information
15 now that consumers are used to getting, and the same with
16 physicians. So just assume that the message is going to be the
17 same wherever you go and get that information. Sort of, again,
18 make it flexible to be able to have that accessed through
19 perhaps an FDA website, something along those lines, that can
20 be most up to date and most useful.

21 DR. CAPPELLA: Joe Cappella. I'm very much concerned that
22 in the high uncertainty cases, that with the complexity of the
23 information that would be presented to prescribers, that the
24 labeling process will not be used. If it's not used, then all
25 of the concerns about linguistic choices and so on are kind of

1 irrelevant. So I'm very much in favor of focusing on something
2 that has some structural shortcuts that allow the prescribers
3 to use the information in a quick way, as quick a way as
4 possible, but is still representative and that full information
5 is still present.

6 DR. JONIAK-GRANT: Elizabeth Joniak-Grant. I think it's
7 important to rate the strength of the evidence that -- and the
8 data that's provided. It's a good way to help providers sort
9 of sift through information so they feel confident to assist
10 patients in making decisions instead of feeling uncertain and
11 pulling back from the decision-making process. When we don't
12 know, say we don't know. When the data is poor, say the data
13 is poor. You know, be clear and use consistently plain
14 language that can be easily scanned, particularly in a short,
15 sort of, doctor's appointment.

16 I think it would be useful to include Quick Takes for
17 specific indications, and that's something that should be
18 explored. It's important to include baseline risks and
19 disease-specific risks. I know that many people that have had
20 miscarriages, when they find out the statistics on that,
21 they're shocked that it's so high; they have no idea that --
22 you know, they think it's 1 in 1,000 or 1 in 100. So I think
23 it's really important to include that information.

24 Again, present information in a consistent way, using
25 consistent phrases and a consistent organization so people can

1 compare and look at different medications in comparison to one
2 another. Maybe consider including information on other places
3 to go for information. So rather than just saying, well,
4 here's a pregnancy registry, maybe have it be to get further
5 information, you know, put contact stuff in there for OTIS or
6 other groups like that so people can seek it out if they want
7 to; providers can know where to go if they want to get some of
8 that information.

9 And then, finally, I think it's just important to
10 highlight that pain management and alleviating suffering,
11 whether it's emotional or physical, is a really important
12 benefit that sometimes gets overlooked.

13 DR. TRACY: Jim Tracy. First of all, I'm grateful for the
14 FDA and all the work that they do, and I'm confident they'll
15 take what we brought to them today or the last 2 days and make
16 good use of it. That said, I would strongly encourage
17 recognizing the complexity that whatever system of labeling we
18 come up with is sufficiently simple to make the test useful.

19 The second point is really making clear, somewhere in the
20 labeling, the risk of taking the medication but also the risk
21 of not taking the medication.

22 And then, finally, with regard to user testing, in the
23 words of -- I think it was Ronald Reagan -- trust but verify
24 the trust which you come up with, but make sure it works.

25 DR. BLALOCK: Ms. Duckhorn, did you have any final closing

1 remarks?

2 MS. DUCKHORN: Thank you all for coming. We appreciated
3 your input tremendously.

4 DR. NGUYEN: I get between you and your taxis, so I'll
5 make it real short. I want to thank all of our esteemed guest
6 speakers who have flown from all over the country to really
7 give us valuable information. I want to thank this panel.
8 It's been an exciting and interesting and very helpful day and
9 a half for us here. So we really appreciate your input. I'd
10 like to thank all my colleagues at FDA who really care about
11 this subject, and we want to do the right thing, and I think
12 your input has really helped us be on that path. And, lastly,
13 I'd like to thank Dr. Blalock and Lee both for keeping us in
14 order and facilitating such a productive meeting for us. So we
15 have a lot of good materials to take back, and hopefully you'll
16 see some outcomes of this discussion. Thank you.

17 DR. BLALOCK: Okay. And I would just like to say in
18 closing -- and feel free to start packing up. I'd just like to
19 say in closing that, you know, I sincerely appreciate all of
20 the -- you know, all of the participation of the Committee
21 members, all of the work that the FDA put in, in preparing
22 this, as well as the guest speakers, the public, the Open
23 Public Hearing speaker yesterday. This is an incredibly
24 important topic and an incredibly challenging one.

25 So, with that, I am going to proclaim the March 6th

1 meeting of the Risk Communication Advisory Committee is
2 adjourned. Thank you all again.

3 (Whereupon, at 12:55 p.m., the meeting was adjourned.)

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

