

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH

JOINT MEETING OF THE ANESTHETIC AND ANALGESIC  
DRUG PRODUCTS ADVISORY COMMITTEE (AADPAC) AND THE  
DRUG SAFETY AND RISK MANAGEMENT  
ADVISORY COMMITTEE (DSaRM)

Wednesday, February 14, 2018

8:00 a.m. to 12:26 p.m.

FDA White Oak Campus  
Building 31 Conference Center  
10903 New Hampshire Avenue  
Silver Spring, Maryland

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Moon Hee V. Choi, PharmD**

4 Division of Advisory Committee and

5 Consultant Management

6 Office of Executive Programs, CDER, FDA

7

8 **ANESTHETIC AND ANALGESIC DRUG PRODUCTS ADVISORY**

9 **COMMITTEE MEMBERS (Voting)**

10 **Brian T. Bateman, MD, MSc**

11 Associate Professor, Harvard Medical School

12 Chief, Division of Obstetric Anesthesia

13 Department of Anesthesiology

14 Division of Pharmacoepidemiology and

15 Pharmacoeconomics

16 Department of Medicine

17 Brigham and Women's Hospital

18 Boston, Massachusetts

19

20

21

22

1     **David S. Craig, PharmD**

2     Clinical Pharmacy Specialist

3     Department of Pharmacy

4     H. Lee Moffitt Cancer Center and Research Institute

5     Tampa, Florida

6

7     **Jeffrey L. Galinkin, MD, FAAP**

8     Professor of Anesthesiology and Pediatrics

9     University of Colorado, AMC

10    Medical Safety Officer

11    CPC Clinical Research

12    University of Colorado

13    Aurora, Colorado

14

15    **Jennifer G. Higgins, PhD**

16    *(Consumer Representative)*

17    Director of Research & Policy

18    Association of Developmental Disabilities

19    Providers (ADDP)

20    Framingham, Massachusetts

21

22

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Ronald S. Litman, DO**

Professor of Anesthesiology & Pediatrics  
Perelman School of Medicine  
University of Pennsylvania  
Attending Anesthesiologist  
The Children's Hospital of Philadelphia  
Medical Director, Institute for Safe Medication  
Practices  
Philadelphia, Pennsylvania

**Mary Ellen McCann, MD, MPH**

*(Acting Chairperson)*  
Associate Professor of Anesthesia  
Harvard Medical School  
Senior Associate in Anesthesia  
Boston Children's Hospital  
Boston, Massachusetts

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Abigail B. Shoben, PhD**

Associate Professor, Division of Biostatistics  
College of Public Health  
The Ohio State University  
Columbus, Ohio

**Kevin L. Zacharoff, MD, FACIP, FACPE, FAAP**

Faculty and Clinical Instructor  
Pain and Medical Ethics  
State University of New York Stony Brook  
School of Medicine  
Stony Brook, New York  
Ethics Committee Chair  
St. Catherine of Siena Medical Center  
Smithtown, New York

1       **DRUG SAFETY AND RISK MANAGEMENT ADVISORY COMMITTEE**

2       **MEMBERS (Voting)**

3       **Niteesh K. Choudhry, MD, PhD**

4       Professor

5       Harvard Medical School

6       Associate Physician

7       Brigham and Women's Hospital

8       Boston, Massachusetts

9  
10      **Laurel A. Habel, MPH, PhD**

11      Associate Director, Cancer Research

12      Division of Research

13      Kaiser Permanente Northern California

14      Oakland, California

15  
16      **Steven B. Meisel, PharmD**

17      Director of Medical Safety

18      Fairview Health Services

19      Minneapolis, Minnesota

20

21

22

1        **Suzanne B. Robotti**

2        *(Consumer Representative)*

3        Executive Director

4        DES Action USA

5        Founder and President

6        MedShadow Foundation

7        New York, New York

8

9        **Anne-Michelle Ruha, MD, FACMT**

10       Vice Chief

11       Department of Medical Toxicology

12       Banner University Medical Center

13       Clinical Professor of Emergency Medicine

14       University of Arizona College of Medicine

15       Phoenix, Arizona

16

17

18

19

20

21

22

1 **Terri L. Warholak, PhD, RPh, CPHQ, FAPhA**

2 Professor

3 Assistant Dean-Designate

4 Academic Affairs and Assessment

5 College of Pharmacy

6 University of Arizona

7 Tucson, Arizona

8

9 **TEMPORARY MEMBERS (Voting)**

10 **Cynthia L. Arfken, PhD**

11 Professor

12 Department of Psychiatry and Behavioral

13 Neurosciences, Wayne State University

14 Detroit, Michigan

15

16 **Daniel Ciccarone, MD, MPH**

17 Professor of Family and Community Medicine

18 University of California, San Francisco

19 San Francisco, California

20

21

22

1 **Margaret Kotz, DO**

2 Professor of Psychiatry and Anesthesiology  
3 Case Western Reserve University School of Medicine  
4 Director, Addiction Recovery Services  
5 Cleveland Medical Center  
6 University Hospitals of Cleveland  
7 Cleveland, Ohio

8  
9 **Edward Michna, MD, JD, RPh**

10 Anesthesia & Pain Management  
11 Director, Pain Trials Center  
12 Brigham Women's Hospital  
13 Assistant Professor, Harvard Medical School  
14 Boston, Massachusetts

15  
16 **Elaine H. Morrato, DrPH, MPH**

17 Associate Professor, Health Systems,  
18 Management and Policy  
19 Associate Dean for Public Health Practice  
20 Colorado School of Public Health  
21 University of Colorado Anschutz Medical Campus  
22 Aurora, Colorado

1     **Laura D. Porter, MD**

2     *(Patient Representative)*

3     Cancer Survivor Independent Patient Advocate

4     Washington, District of Columbia

5

6     **Trivellore Raghunathan, PhD**

7     Professor of Biostatistics

8     School of Public Health

9     Director, Survey Research Center

10    Institute for Social Research

11    University of Michigan

12    Ann Arbor, Michigan

13

14    **ACTING INDUSTRY REPRESENTATIVE TO THE ANESTHETIC**

15    **AND ANALGESIC DRUG PRODUCTS ADVISORY COMMITTEE**

16    **(Non-Voting)**

17    **Michele Hummel, PhD, RPh**

18    *(Acting Industry Representative)*

19    Pharmacist

20    Moss Rehab Einstein Healthcare Network

21    Elkins Park, Pennsylvania

22

1       **FDA PARTICIPANTS (Non-Voting)**

2       **Sharon Hertz, MD**

3       Director

4       Division of Anesthesia, Analgesia and Addiction

5       Products (DAAAP)

6       Office of Drug Evaluation II (ODE-II)

7       Office of New Drugs (OND), CDER, FDA

8

9       **Ellen Fields, MD, MPH**

10      Deputy Director

11      DAAAP, ODE-II, OND, CDER, FDA

12

13      **Judy Staffa, PhD, RPh**

14      Associate Director for Public Health Initiatives

15      Division of Epidemiology II (DEPI-II)

16      Office of Pharmacovigilance and Epidemiology (OPE)

17      Office of Surveillance and Epidemiology (OSE)

18      CDER, FDA

19

20

21

22

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Jana McAninch, MD, MPH, MS**

Senior Medical Epidemiologist

Prescription Drug Abuse Team

DEPI-II, OPE, OSE, CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Mary Ellen McCann, MD, MPH	16
5	Conflict of Interest Statement	
6	Moon Hee Choi, PharmD	22
7	FDA Opening Remarks	
8	Sharon Hertz, MD	26
9	<b>Applicant Presentation - Charleston Labs</b>	
10	Introduction: Today's Purpose	
11	Thomas Smith, MD	29
12	Need for New Approach to Treat Acute	
13	Pain While Preventing and Reducing OINV	
14	Tong Joo (TJ) Gan, MD, MBA, MHS, FRCA	36
15	Abuse Potential and Human Abuse Liability	
16	Sandra Comer, PhD	42
17	Clinical Development and Efficacy	
18	Bernard Schachtel, MD	52
19	Clinical Safety, Responsible Use and	
20	Benefit-Risk Assessment	
21	Thomas Smith, MD	57
22	Clarifying Questions	71

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	<b>FDA Presentations</b>	
4	Clinical Considerations in the Evaluation of	
5	Safety and Effectiveness of Hydexor	
6	Timothy Jiang, MD	80
7	Utilization Patterns for Combination	
8	Hydrocodone-Acetaminophen, Selected	
9	Opioid Analgesics, and	
10	Promethazine-Containing Products	
11	Jennie Wong	86
12	Postmarketing Data on Misuse and Abuse of	
13	Hydrocodone and Promethazine	
14	Jana McAninch, MD, MPH, MS	93
15	Summary of FDA Findings	
16	Ellen Fields, MD, MPH	107
17	Clarifying Questions	113
18	Open Public Hearing	122
19	Clarifying Questions (continued)	139
20		
21		
22		

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

C O N T E N T S (continued)

AGENDA ITEM	PAGE
Charge to the Committee	
Sharon Hertz, MD	165
Questions to the Committee and Discussion	165
Adjournment	222

1                   P R O C E E D I N G S

2                   (8:00 a.m.)

3                   **Call to Order**

4                   **Introduction of Committee**

5                   DR. McCANN: Welcome and good morning. I  
6 would like to remind everyone to please silence  
7 their cell phones, their smartphones, and any  
8 devices if you have not already done so. I would  
9 also like to identify the FDA press contact,  
10 Michael Felberbaum. If you are present, please  
11 stand. Thank you.

12                  My name is Mary Ellen McCann. I am the  
13 acting chairperson of the Anesthetic and Analgesic  
14 Drug Products Advisory Committee, and I will be  
15 chairing this meeting. I will now call the joint  
16 meeting of the Anesthetic and Analgesic Drug  
17 Products Advisory Committee and the Drug Safety and  
18 Risk Management Advisory Committee to order. We  
19 will start by going around the table and  
20 introducing ourselves. We will start with the FDA  
21 to my left and go around the table.

22                  DR. HERTZ: Good morning. I'm Sharon Hertz.

1 I'm the division director for the Division of  
2 Anesthesia, Analgesia, and Addiction Products.

3 DR. FIELDS: Hi. I'm Ellen Fields. I'm the  
4 deputy director in the same division

5 DR. STAFFA: Good morning. I'm Judy Staffa.  
6 I'm the associate director for public health  
7 initiatives in the Office of Surveillance and  
8 Epidemiology.

9 DR. McANINCH: Good morning. I'm Jana  
10 McAninch, also in the Office of Epidemiology.

11 DR. CHOUDHRY: Good morning. Niteesh  
12 Choudhry. I'm an internist at Brigham and Women's  
13 Hospital and professor at Harvard Medical School on  
14 DSaRM.

15 DR. RUHA: Hi. I'm Michelle Ruha. I'm a  
16 medical toxicologist at Banner University Medical  
17 Center in Phoenix and a clinical professor at the  
18 University of Arizona College of Medicine.

19 DR. WARHOLAK: Hi. I'm Terri Warholak, and  
20 I am a professor at the University of Arizona  
21 College of Pharmacy and an assistant dean.

22 DR. SHO BEN: Hi. I'm Abby Shoben. I am in

1 the Division of Biostatistics at The Ohio State  
2 University.

3 DR. RAGHUNATHAN: Hi. My name is Trivellore  
4 Raghunathan. I am at the University of Michigan.  
5 I'm professor biostatistics, and I'm also director  
6 of the Survey Research Center at the Institute for  
7 Social Research.

8 DR. CRAIG: Good morning. David Craig. I'm  
9 a clinical pharmacist specialist at Moffitt Cancer  
10 Center in Tampa, Florida.

11 DR. MEISEL: Steve Meisel, director of  
12 medication safety, Fairview Health Services in  
13 Minneapolis.

14 DR. LITMAN: Good morning, and since no one  
15 said it, Happy Valentine's Day. I'm Ron Litman.  
16 I'm a pediatric anesthesiologist at Children's  
17 Hospital Philadelphia and the medical director of  
18 the Institute for Safe Medication Practices.

19 DR. CHOI: Moon Hee Choi, designated federal  
20 officer.

21 DR. McCANN: Mary Ellen McCann. I'm at  
22 Boston Children's Hospital as a pediatric

1 anesthesiologist.

2 DR. MORRATO: Good morning. I'm Elaine  
3 Morrato. I'm an epidemiologist at the Colorado  
4 School of Public Health and associate dean for  
5 public health practice.

6 DR. GALINKIN: I'm Jeff Galinkin. I'm a  
7 pediatric anesthesiologist at the University of  
8 Colorado and a medical safety officer at CPC  
9 Clinical Research.

10 MS. ROBOTTI: I'm Suzanne Robotti. I am the  
11 president of MedShadow Foundation and the executive  
12 director of DES Action USA.

13 DR. HIGGINS: I'm Jennifer Higgins. I'm the  
14 AADPAC consumer representative.

15 DR. BATEMAN: Brian Bateman. I'm an  
16 anesthesiologist at Brigham and Women's Hospital  
17 and associate professor at Harvard Medical School.

18 DR. PORTER: Laura Porter. I'm a colon  
19 cancer survivor and a patient representative, and  
20 an independent patient advocate.

21 DR. MICHNA: I'm Ed Michna. I'm an  
22 anesthesiologist pain physician at Brigham and

1 Women's Hospital in Boston.

2 DR. KOTZ: I'm Margie Kotz, and I'm a  
3 professor of psychiatry and anesthesiology at Case  
4 Western Medical School in Cleveland, Ohio and  
5 medical director of their addiction recovery  
6 services there.

7 DR. ZACHAROFF: Good morning. I'm Kevin  
8 Zacharoff. My expertise is in anesthesiology and  
9 pain medicine. I am faculty and clinical  
10 instructor in the Department of Preventive Medicine  
11 at the State University of New York Stony Brook  
12 School of Medicine and the ethics committee chair  
13 at St. Catherine of Siena Medical Center in New  
14 York.

15 DR. ARFKEN: Cynthia Arfken. I'm an  
16 epidemiologist, professor of psychiatry at Wayne  
17 State University.

18 DR. HABEL: Laurie Habel. I'm an  
19 epidemiologist and associate director at the  
20 Division of Research at Kaiser Permanente in  
21 Northern California.

22 DR. HUMMEL: Good morning. I'm Michele

1 Hummel. I'm a pharmacologist. I'm filling in  
2 today as an alternate industry rep.

3 DR. McCANN: Thank you all.

4 For the topics such as those being discussed  
5 today at today's meeting, there are often a wide  
6 variety of opinions, some of which are quite  
7 strongly held. Our goal is that today's meeting  
8 will be a fair and open forum for discussion of  
9 these issues and that individuals can express their  
10 views without interruption. Thus, as a gentle  
11 reminder, individuals will be allowed to speak into  
12 the record only if recognized by the chairperson.  
13 We look forward to a very productive meeting.

14 In the spirit of the Federal Advisory  
15 Committee Act and the Government in the Sunshine  
16 Act, we ask that the advisory committee members  
17 take care that their conversations about this topic  
18 at hand take place in the open forum of the  
19 meeting. We are aware that members of the media  
20 are anxious to speak with the FDA about these  
21 proceedings. However, the FDA will refrain from  
22 discussing the details of this meeting with the

1 media until its conclusion. Also, the committee is  
2 reminded to please refrain from discussing the  
3 meeting topic during breaks and lunch. Thank you.

4 Now I will pass it to Moon Hee Choi, who  
5 will read the Conflict of Interest Statement.

6 **Conflict of Interest Statement**

7 DR. CHOI: The Food and Drug Administration  
8 is convening today's joint meeting of the  
9 Anesthetic and Analgesic Drug Products Advisory  
10 Committee and the Drug Safety and Risk Management  
11 Advisory Committee under the authority of the  
12 Federal Advisory Committee Act of 1972. With the  
13 exception of the industry representative, all  
14 members and temporary voting members of the  
15 committees are special government employees or  
16 regular federal employees from other agencies and  
17 are subject to federal conflict of interest laws  
18 and regulations.

19 The following information on the status of  
20 these committees' compliance with federal ethics  
21 and conflict of interest laws, covered by but not  
22 limited to those found at 18 USC Section 208, is

1 being provided to participants in today's meeting  
2 and to the public.

3 FDA has determined that members and  
4 temporary voting members of these committees are in  
5 compliance with federal ethics and conflict of  
6 interest laws. Under 18 USC Section 208, Congress  
7 has authorized FDA to grant waivers to special  
8 government employees and regular federal employees  
9 who have potential financial conflicts when it is  
10 determined that the agency's need for a special  
11 government employee's services outweighs his or her  
12 potential financial conflict of interest or when  
13 the interest of a regular federal employee is not  
14 so substantial as to be deemed likely to affect the  
15 integrity of the services which the government may  
16 expect from the employee.

17 Related to the discussions of today's  
18 meeting, members and temporary voting members of  
19 these committees have been screened for potential  
20 financial conflicts of interest of their own, as  
21 well as those imputed to them, including those of  
22 their spouses or minor children and, for purposes

1 of 18 USC Section 208, their employers. These  
2 interests may include investments, consulting,  
3 expert witness testimony, contracts, grants,  
4 CRADAs, teaching, speaking, writing, patents and  
5 royalties, and primary employment.

6 Today's agenda involves discussion of new  
7 drug application NDA 209257, proposed trade name  
8 Hydexor, a fixed-dose combination oral tablet  
9 submitted by Charleston Laboratories, Inc., that  
10 contains hydrocodone, acetaminophen, and  
11 promethazine, for the short-term management of  
12 acute pain severe enough to require an opioid  
13 analgesic while preventing and inducing  
14 opioid-induced nausea and vomiting. The committees  
15 will also discuss the abuse potential of this  
16 non-abuse-deterrent product and whether it should  
17 be approved. This is a particular matters meeting  
18 during which specific matters related to Charleston  
19 Laboratories' NDA will be discussed.

20 Based on the agenda for today's meeting and  
21 all financial interests reported by the committee  
22 members and temporary voting members, no conflict

1 of interest waivers have been issued in connection  
2 with this meeting. To ensure transparency, we  
3 encourage all standing committee members and  
4 temporary voting members to disclose any public  
5 statements that they have made concerning the  
6 product at issue.

7 With respect to FDA's invited industry  
8 representative, we'd like to disclose that  
9 Dr. Michele Hummel is participating in this meeting  
10 as a nonvoting industry representative acting on  
11 behalf of regulated industry. Dr. Hummel's role at  
12 this meeting is to represent industry in general  
13 and not any particular company.

14 We would like to remind members and  
15 temporary voting members that if the discussions  
16 involve any other products or firms not already on  
17 the agenda for which an FDA participant has a  
18 personal or imputed financial interest, the  
19 participants need to exclude themselves from such  
20 involvement, and their exclusion will be noted for  
21 the record.

22 FDA encourages all other participants to

1 advise the committee of any financial relationships  
2 that they may have with the firm at issue. Thank  
3 you.

4 DR. McCANN: We will not proceed with the  
5 FDA's introductory remarks from Dr. Sharon Hertz.

6 **FDA Opening Remarks - Sharon Hertz**

7 DR. HERTZ: Good morning, Dr. McCann,  
8 members of the AADPAC and DSaRM, and invited  
9 guests. This morning you'll hear about the  
10 efficacy and safety data submitted in support of a  
11 novel, immediate-release, fixed-dose combination  
12 formulation of hydrocodone, acetaminophen, and  
13 promethazine, and that's also being referred to  
14 with the proposed trade name of Hydexor.

15 This product was not formulated to have any  
16 abuse-deterrent properties, but none of the  
17 approved immediate-release, hydrocodone,  
18 acetaminophen products have abuse-deterrent  
19 properties either. The addition of promethazine to  
20 the hydrocodone and acetaminophen combination is  
21 intended to reduce or prevent the occurrence of  
22 opioid-induced nausea and vomiting, which I think

1 many people here know can be a major problem for  
2 some patients receiving opioids for pain. There  
3 are clinical studies conducted in patients who are  
4 prone to opioid-related nausea and vomiting.

5 The applicant was asked to conduct an  
6 assessment of the abuse potential of the product  
7 Hydexor compared to hydrocodone and acetaminophen.  
8 That is because there have been questions about  
9 whether or not the addition of promethazine could  
10 change the abuse potential either due to additional  
11 CNS related effects or by reducing adverse events.

12 We're also going to provide you information  
13 on drug utilization for related products as well as  
14 what we can glean from the epidemiologic literature  
15 that's relevant for today's meeting. At the end of  
16 this morning, we're going to ask you to consider  
17 what you've heard and give us advice about the data  
18 and what the data support in terms of possible  
19 approval and indication.

20 Thank you very much. We appreciate you  
21 taking time from your very busy schedules to be  
22 here to help us, and with that, I will let the

1 meeting get started.

2 DR. McCANN: Both the Food and Drug  
3 Administration and the public believe in a  
4 transparent process for information-gathering and  
5 decision-making. To ensure such transparency at  
6 the advisory committee meeting, the FDA believes  
7 that it is important to understand the context of  
8 an individual's presentation. For this reason, FDA  
9 encourages all participants, including the  
10 applicant's non-employee presenters, to advise the  
11 committee of any financial relationships that they  
12 may have with the applicant, such as consulting  
13 fees, travel expenses, honoraria, and interest in a  
14 sponsor, including equity interest and those based  
15 upon the outcome of the meeting.

16 Likewise, FDA encourages you at the  
17 beginning of your presentation to advise the  
18 committee if you do not have any such financial  
19 relationships. If you choose not to address this  
20 issue of financial relationships at the beginning  
21 of your presentation, it will not preclude you from  
22 speaking.

1           We will now proceed with Charleston's  
2 presentations.

3           **Applicant Presentation - Thomas Smith**

4           DR. SMITH: Thank you.

5           Good morning, members of the committee, FDA,  
6 and guests. My name is Tom Smith, and I'm the  
7 chief medical officer of Charleston Laboratories.  
8 Charleston was founded over a decade ago by people  
9 who experienced the burden of nausea and vomiting  
10 from illnesses like migraine medication such as  
11 opioids and everyday events like motion sickness.  
12 I'm sure many of us here today have had similar  
13 experiences.

14           Charleston's mission is grounded in the  
15 premise of improving acute pain management and  
16 preventing nausea and vomiting. We are committed  
17 to providing safe and effective therapies to help  
18 patients with acute therapies for pain in  
19 responsible ways, and as a board certified  
20 physician in family medicine for almost 30 years, I  
21 understand this burden of nausea and vomiting that  
22 our founders sought to address.

1           I have seen the debilitating effects of  
2   nausea and vomiting, but I've also seen the  
3   tendency of physicians to prescribe 1 to 2 tablets  
4   of an immediate-release opioid every 4 to 6 hours.  
5   This has left patients directing their pain  
6   management with up to 12 tablets a day and has left  
7   a lot of unused medication available for abuse,  
8   misuse, and diversion.

9           So why are we here today? First, because  
10   there's a need for better options to manage acute  
11   pain while preventing and reducing opioid-induced  
12   nausea and vomiting. Second, we're here because we  
13   recognize that we are proposing to introduce  
14   Hydexor during a national crisis of opioid abuse.  
15   We are committed to being a part of this national  
16   movement to address this crisis by fostering  
17   responsible use of Hydexor and through our  
18   initiatives to reduce the number of unused tablets  
19   available for abuse, misuse, and diversion. But  
20   first we must focus on the gravity of the  
21   condition.

22           As with chemotherapy-induced nausea and

1 vomiting, or CINV, and postoperative nausea and  
2 vomiting, or PONV, we have a responsibility to give  
3 voice to patients who are suffering from  
4 opioid-induced nausea and vomiting now known as  
5 OINV. OINV is common, burdensome, and costly.  
6 Approximately 40 percent of patients prescribed an  
7 IR opioid report nausea and about 20 percent report  
8 vomiting.

9 OINV places a significant burden on patients  
10 in their recovery, on the healthcare system, and on  
11 poor patient outcomes, and increased costs. OINV  
12 is the primary reason for nonadherence or  
13 discontinuation of IR opioids, and once it occurs,  
14 OINV is difficult to control, and there are no  
15 approved therapies for pain and OINV.

16 Hydexor is a unique formulation using well  
17 characterized analgesic and antiemetic compounds  
18 supported by a robust clinical development program.  
19 As noted, Hydexor is not intended to be an  
20 abuse-deterrent formulation, but we are proposing a  
21 comprehensive and innovative abuse-deterrence  
22 program.

1           Hydexor contains immediate-release  
2 hydrocodone and acetaminophen as used in Norco and  
3 Vicodin, along with a novel formulation of  
4 promethazine, the active ingredient in Phenergan.  
5 As we began formulation in 2007, the hydrocodone  
6 and acetaminophen doses were selected primarily  
7 because the combined dosage was commonly prescribed  
8 for the immediate care of acute pain, and we were  
9 also desiring to limit daily acetaminophen total  
10 dose. We selected the lowest oral solid dose of  
11 promethazine, which is half of what's typically  
12 prescribed today for nausea and vomiting. This is  
13 the basis of our engagement with the FDA and the  
14 acknowledgment of this novel treatment with a novel  
15 indication.

16           As you'll hear this morning, we have met the  
17 requirements for approval under the 505(b)(2)  
18 regulatory pathway. This NDA is supported by a  
19 comprehensive development program that establishes  
20 bioequivalence to the RLDs in Norco, efficacy over  
21 Norco for prevention of opioid-induced nausea and  
22 vomiting, and a safety profile consistent with the

1 individual components for the short-term management  
2 of acute pain and prevention of opioid-induced  
3 nausea and vomiting. And finally, in our human  
4 abuse liability study, we saw no increase in abuse  
5 potential at supratherapeutic doses.

6 Our proposed indication is for the  
7 short-term management of acute pain severe enough  
8 to require an opioid analgesic while preventing and  
9 reducing OINV, but the treatment itself is only  
10 part of the solution. We're also committed to an  
11 abuse-deterrence program designed to help reduce  
12 the number of unused tablets available for abuse  
13 and misuse.

14 We've heard FDA commissioner Dr. Scott  
15 Gottlieb stress the importance of limiting  
16 treatment duration, and we agree. Our proposed  
17 labeling is generally less than 14 days, and we've  
18 proposed a dosing schedule of 1 tablet every 4 to  
19 6 hours as needed for a maximum daily dosage of  
20 6 tablets as compared to what we commonly see  
21 today, up to 12 tablets per day and often for more  
22 than 14 days.

1           Rather than expanding the use of IR opioids,  
2 Hydexor is intending to displace existing IR  
3 opioids for adult patients at risk of OINV.  
4 Physicians can best determine those patients at  
5 risk of OINV using questions that they're already  
6 asking their patients. It should be used with  
7 caution in the elderly, and it is not intended for  
8 pediatric use or in any patient with medical  
9 conditions that would pose a risk.

10           WE will introduce other approaches to reduce  
11 the availability of unused tablets. First, in  
12 agreement with the FDA, we will implement an  
13 interim REMS for Hydexor until the classwide REMS  
14 for IR opioids has been approved. Our interim REMS  
15 will include a medication guide, communication  
16 plan, and an annual healthcare provider education  
17 assessment.

18           At the core of our REMS is our labeling for  
19 short-term use with a maximum of 6 tablets per day,  
20 and we have developed a first-in-class 3, 5, and  
21 7-day packaging to better address duration of  
22 therapy for IR opioids. We believe that this novel

1 packaging will encourage necessary and more  
2 frequent patient-physician interaction.

3           Knowing that the legal and operational  
4 challenges are vast, we are also developing a  
5 first-in-class opioid buyback program known as  
6 HydexorReturn that would allow patients to return  
7 unused tablets for their proper disposal. In  
8 addition, we will conduct the appropriate  
9 education, distribution, pharmacovigilance,  
10 monitoring, and surveillance programs, and our  
11 responsible commercialization efforts will be  
12 directed towards select surgeons and acute pain  
13 specialists.

14           Here you can see the remaining agenda.  
15 Dr. TJ Gan from Stony Brook University will discuss  
16 the medical need, then Dr. Sandy Comer from  
17 Columbia University will review our human abuse  
18 liability data and share her thoughts on the abuse  
19 potential for Hydexor. Next, Dr. Bernard  
20 Schachtel, our chief scientific officer, will  
21 describe our clinical development program and  
22 discuss the efficacy results, then I'll return to

1 share our clinical safety data and characterize our  
2 safety profile, discuss our commitment to risk  
3 mitigation and responsible use, and close with a  
4 summary of the benefit-risk assessment for Hydexor.  
5 We also have some additional experts here today to  
6 help address your questions.

7 Now I'd like to invite Dr. Gan to the podium  
8 to discuss the need for new treatment options. Dr.  
9 Gan?

10 **Applicant Presentation - Tung Joo Gan**

11 DR. GAN: Good morning. My name is Dr. TJ  
12 Gan. I'm a professor and chairman of the  
13 Department of Anesthesiology at Stony Brook School  
14 of Medicine. I'm a consultant to the sponsor, but  
15 I have no financial interest in the outcome of this  
16 meeting.

17 I'm delighted to speak with you about an  
18 area that is a particular interest of mine, the  
19 management of acute pain and nausea and vomiting.  
20 Specifically, I will be discussing the need for  
21 immediate-release opioid analgesics that also  
22 address a very specific complication associated

1 with this treatment, nausea and vomiting.

2           The Federation of State Medical Boards  
3 defines acute pain as the normal predictable,  
4 physiological response to a noxious chemical,  
5 thermal, or mechanical stimulus, and typically it's  
6 associated with invasive procedures, trauma, and  
7 disease. Acute pain generally is time limited  
8 lasting six weeks or less. In cases where other  
9 therapies may not be adequate, opioid analgesics  
10 can be an essential therapy. Opioids are effective  
11 analgesics with a known benefit-risk profile, and  
12 the duration of acute pain that requires treatment  
13 with an opioid is usually much shorter than the six  
14 weeks noted above.

15           When Scully and colleagues reviewed data on  
16 opioid-naive surgical patients, they found the  
17 optimal length of opioid prescriptions lies between  
18 4 and 15 days depending on the procedure. When we  
19 think about opioids for acute pain, we should be  
20 thinking of about 1 to 2 weeks.

21           Although opioids can be an effective  
22 treatment when other therapies are ineffective,

1 opioid-induced nausea and vomiting is very common  
2 and a troubling side effect. Studies show that up  
3 to 40 percent of patients report nausea while  
4 taking their opioid medication and 20 percent  
5 report vomiting, which can lead to inadequate  
6 analgesia. Once it occurs, OINV is difficult to  
7 control, and there are no approved therapies for  
8 acute pain and OINV.

9 As clinicians, we are able to identify  
10 patients at risk. The same risk factors known for  
11 postoperative nausea and vomiting apply to opioid-  
12 induced nausea and vomiting, and they are age,  
13 gender, history of postoperative nausea and  
14 vomiting or motion sickness, non-smoking, and the  
15 use of postoperative opioids.

16 Research from Apfel and colleagues found the  
17 risk factors for nausea and vomiting have an  
18 additive effect. The presence of one risk factor  
19 is associated with up to 20 percent incidence of  
20 postoperative nausea and vomiting while the  
21 presence of 4 risk factors is associated with up to  
22 80 percent incidence. From these data, clinicians

1 can identify patients at risk.

2 OINV places significant burdens on patient  
3 recovery and clinical outcomes and has definite  
4 economic impacts. For the patient, OINV can lead  
5 to delays in functional recovery from surgery. It  
6 affects their ability to eat, which can impair  
7 wound healing, decrease immune function, and  
8 increase postoperative complications. Decreased  
9 mobility can lead to respiratory and urinary  
10 complications, deep vein thrombosis, and  
11 constipation, and OINV can cause such discomfort  
12 that patients are often willing to sacrifice  
13 degrees of pain relief to experience less nausea  
14 and vomiting.

15 As a clinician who is trying to help  
16 patients, I am distressed by this unacceptable  
17 trade-off, and there are important clinical  
18 implications as well. Following surgery, nausea  
19 and vomiting can lead to less effective pain  
20 management and can increase a patient's time in the  
21 hospital by up to 25 percent. Vomiting and  
22 wretching are common and are known to cause

1 surgical complications such as aspiration  
2 pneumonia, bleeding, and wound ruptures. There are  
3 also significant economic implications. Patients  
4 who experience nausea and vomiting have  
5 significantly more unexpected hospitalizations,  
6 hospital and doctor visits, and emergency room  
7 visits.

8           What is the mechanism of OINV? Opioids  
9 activate the mu, kappa and delta receptors in the  
10 CNS, triggering emetic pathways in the  
11 chemoreceptor trigger zone, or CTZ, leading to the  
12 vomiting center, which initiates the nausea and  
13 vomiting episode. Hydrexor seeks to prevent and  
14 reduce OINV with the addition of promethazine.

15           But why is promethazine a good choice?  
16 Promethazine addresses the pathophysiology of OINV.  
17 It inhibits the three receptors involved in OINV,  
18 which can prevent and reduce nausea and vomiting.  
19 Promethazine has a long history of safe and  
20 effective use and has not been associated with side  
21 effects such as QT prolongation, which are common  
22 with dopamine antagonists and serotonin

1       antagonists.

2               The promethazine dosing recommendation of  
3       12.5 milligrams every 4 to 6 hours allows for safe  
4       multidosing on the same schedule as immediate-  
5       release opioids, and a maximum daily dose of  
6       promethazine in Hydexor is half the maximum  
7       approved daily dose of 150 milligrams of commercial  
8       promethazine.

9               In summary, the short-term use of immediate-  
10       release opioids is necessary for some acute pain  
11       patients, but the associated nausea and vomiting  
12       presents significant burdens to patients and the  
13       healthcare system, and there are no approved  
14       therapies for acute pain and OINV.

15               Hydexor represents a novel approach to  
16       address these challenges. The low dose of  
17       promethazine contained in Hydexor blocks the  
18       underlying mechanisms of OINV. Patients with acute  
19       pain would benefit from access to a single proven  
20       therapy that not only relieves their pain but also  
21       prevents OINV. Thank you.

22               Now I would like to invite Dr. Sandra Comer,

1 professor of clinical and neurobiology, College of  
2 Physicians and Surgeons, Columbia University, to  
3 discuss Charleston's human abuse liability study  
4 and provide a perspective on the potential for  
5 abuse of Hydexor. Dr. Comer?

6 **Application Presentation - Sandra Comer**

7 DR. COMER: Good morning. I'm Sandy Comer.  
8 I'm a clinical research scientist focused on  
9 testing novel compounds for the treatment of opioid  
10 dependence and studying the relationship between  
11 pain and opioid abuse. I'm a consultant to the  
12 sponsor but I have no financial interest in the  
13 outcome of this meeting.

14 I'd like to discuss what is known from the  
15 literature about the abuse potential of hydrocodone  
16 and promethazine and how current prescribing  
17 patterns contribute to the availability of excess  
18 tablets for abuse and misuse, then I'd like to  
19 review Charleston's human abuse liability study and  
20 discuss what other information we can take from  
21 their clinical program to address abuse potential.

22 Both the FDA and Charleston reviewed

1 epidemiological data on the abuse of hydrocodone  
2 and promethazine. As you saw in the FDA's briefing  
3 document, the number of prescriptions written for  
4 hydrocodone APAP has declined in recent years, but  
5 hydrocodone APAP is still the most widely  
6 prescribed opioid.

7           When Cassidy and colleagues reviewed  
8 substance abuse data from the NAVIPPRO surveillance  
9 system, they found that hydrocodone APAP is still  
10 the most frequently reported opioid abused, but  
11 when they adjusted for the number of prescriptions  
12 dispensed, hydrocodone APAP's abuse rate was the  
13 lowest among the opioids examined. So it would  
14 appear the availability of hydrocodone APAP is a  
15 factor in its abuse.

16           We also see from the NAVIPPRO data that the  
17 vast majority of hydrocodone APAP abuse occurs  
18 orally. This finding is further supported by  
19 research from Katz and colleagues. When we  
20 consider ways to deter hydrocodone abuse, we need  
21 to focus on this route of administration.

22           We also know that length of opioid exposure

1 is a predictor of abuse. Brat and colleagues found  
2 that misuse increased by 44 percent for each refill  
3 and 20 percent for each additional week of opioid  
4 use. As shown here in green, prescriptions of less  
5 than 2 weeks were associated with the lowest rates  
6 of abuse, so reducing the length of opioid  
7 prescriptions may have a positive effect on abuse.

8 As I mentioned earlier, there's been a  
9 decline in the number of prescriptions written for  
10 hydrocodone APAP. Here we see data from IQVIA  
11 National Prescription Audit on the annual number of  
12 opioid prescriptions in green and total hydrocodone  
13 APAP prescriptions in blue.

14 As you can see, the number of prescriptions  
15 is declining, however, the number of pills  
16 dispensed per prescription has actually increased  
17 as shown here by the dashed line. This may be  
18 related to the rescheduling of hydrocodone from  
19 Schedule III to Schedule II. Since physicians can  
20 no longer call in a refill for Schedule II  
21 products, there may be a tendency to write larger  
22 initial prescriptions. And this is important

1 because the availability of unused tablets is a  
2 factor in abuse.

3           When Bicket and colleagues reviewed six  
4 studies involving surgical patients, they found  
5 that 67 to 92 percent of patients had unused  
6 opioids available after completing treatment. In  
7 fact, up to 71 percent of dispensed pills went  
8 unused.

9           So what happens to those unused tablets?  
10 Data from SAMHSA's National Survey on Drug Use and  
11 Health shows that unused pills become a supply for  
12 abuse. Most survey participants obtained opioids  
13 for non-medical use from family and friends, or  
14 dealers, rather than from their own prescriptions.  
15 This further demonstrates the need to reduce the  
16 number of tablets available.

17           Turning now to promethazine, the prevalence  
18 of promethazine abuse is unknown, but it does occur  
19 often in combination with an opioid. There have  
20 been reports of cough syrup containing codeine and  
21 promethazine being abused since the 1990s, and  
22 Clatts and colleagues found that in the mid 2000's,

1 heroin users in southeast Asia commonly abused  
2 intravenous promethazine either to substitute for  
3 inadequate heroin supplies or to reduce opioid  
4 withdrawal symptoms.

5 In addition, an NIH-sponsored study by Lynch  
6 and colleagues found that approximately 9 percent  
7 of chronic pain patients tested positive for  
8 promethazine, while only half of those had an  
9 active prescription for it. This was more common  
10 among patients using extended-release opioids.  
11 Literature and surveillance symptoms note some  
12 associated morbidity and mortality, but the reasons  
13 stated for promethazine abuse are varied, and it's  
14 not clear whether opioid-promethazine combinations  
15 are more desirable than opioids without  
16 promethazine.

17 Following both the FDA and Charleston's  
18 reviews of the epidemiology, we see that  
19 hydrocodone and promethazine are commonly used in  
20 ways not directed by a healthcare provider, which  
21 contributes to morbidity and mortality. We also  
22 see that hydrocodone is primarily abused orally, so

1 when we consider abuse deterrence, there should be  
2 a focus on steps that address oral abuse. And we  
3 know that the number of excess pills contribute to  
4 the supply of pills for abuse, so reducing the  
5 duration of use and the availability of excess  
6 tablets may help reduce opportunities for abuse and  
7 diversion.

8 We also know that misuse and abuse of  
9 promethazine and opioids occur together in some  
10 areas and among some demographic groups. Anecdotal  
11 evidence suggests varying reasons for this, but  
12 while we know the abuse occurs, the available  
13 epidemiologic data are not informative as to  
14 whether Hydexor is more likely to be abused or  
15 whether the addition of promethazine adds to the  
16 risk profile.

17 To help answer these questions, Charleston  
18 conducted a dedicated human abuse liability study.  
19 It was a 5-arm crossover study that compared  
20 Hydexor versus placebo versus hydrocodone and  
21 acetaminophen without promethazine. Comparisons  
22 were made at supratherapeutic doses 3 and 5 times

1 the recommended dose. The primary endpoint was the  
2 maximum effect of drug liking on a bipolar visual  
3 analog scale from 0 to 100, where a score of 50 was  
4 neither like nor dislike the effect at the moment.

5 The sample size was intended to provide  
6 greater than 95 percent confidence to detect a  
7 significant difference between the active and  
8 placebo groups. For my own research, the human  
9 abuse liability studies I conduct often have much  
10 smaller sample sizes, but they still have high  
11 predictive value, so the sample size used in study  
12 007 was more than adequate.

13 Sixty-one subjects were randomized to the  
14 qualification phase and were given a naloxone  
15 challenge to ensure that they were not physically  
16 dependent on opioids. Later, they received  
17 30 milligrams of hydrocodone with 1300 milligrams  
18 of acetaminophen to determine whether they could  
19 tolerate the treatment and distinguish it from  
20 placebo.

21 Subjects with greater than or equal to a  
22 15-point difference between placebo and HC-APAP on

1 drug liking response were randomized to the  
2 treatment phase. Forty subjects received each of  
3 the 5 treatments in a random sequence. All study  
4 medications were over encapsulated in identical  
5 capsules for double-blinding. Assessments were  
6 made over 24 hours with a minimum washout period of  
7 approximately 72 hours between each treatment.

8 The results show that the addition of  
9 promethazine did not increase drug liking, which  
10 was the primary endpoint of the study. On this  
11 scale, a score of 100 represents a strong liking,  
12 50 represents neither like nor dislike, and zero is  
13 a strong disliking.

14 At both supratherapeutic doses 3 and 5 times  
15 the therapeutic dose, there were no statistically  
16 significant differences between Hydexor and  
17 hydrocodone APAP. This observation of no  
18 significant difference was seen consistently across  
19 the majority of the secondary measures, including  
20 high, in which subjects were asked to rate their  
21 feeling on a scale from not high at all to  
22 extremely high and take drug again, where subjects

1 were asked about their desire to use this drug in  
2 the future.

3 Charleston also evaluated potential abuse,  
4 misuse, and diversion by analyzing the drug  
5 accountability data across their clinical trial  
6 program. In studies 002 and 003 when patients were  
7 sent home with Hydexor, nearly all unused tablets  
8 were returned. In study 006 where patients were  
9 given a 14-day supply of Hydexor, nearly 99 percent  
10 of unused tablets were returned.

11 As compared to similar controlled opioid  
12 studies, these accountability numbers are quite  
13 good, and they're reassuring in that they do not  
14 suggest a tendency toward increased misuse or  
15 diversion in the clinical studies.

16 Based on the clinical data, the addition of  
17 promethazine does not appear to increase Hydexor's  
18 abuse potential. I'm reassured by these results.  
19 In my experience, these studies are good predictors  
20 of what happens in actual use. Study 007 also  
21 demonstrated that at 3 and 5 times the therapeutic  
22 dose, Hydexor was associated with greater sedation

1 compared to HC APAP, but this did not have a  
2 significant effect on drug liking.

3 As a safety topic, it is addressed in the  
4 proposed warnings and precautions, and like all  
5 opioids, regardless of abuse-deterrent formulation,  
6 Hydexor will carry a black box warning regarding  
7 its potential for abuse, misuse, and diversion.  
8 And maybe most importantly, I was really impressed  
9 when I read the briefing document from Charleston  
10 Labs about their plans to reduce and misuse; their  
11 limiting the number of tablets that they're  
12 dispensing or giving out to patients; their  
13 instituting a buyback program, which is really  
14 novel and something I haven't seen before and I  
15 think is a great idea; and their limiting the dose  
16 of product that's in each tablet.

17 I think all of these kinds of ideas and  
18 plans that they have in place will really be  
19 important in trying to reduce the abuse of this  
20 type of product. Thank you. And now I'd like to  
21 invite Dr. Bernie Schachtel to discuss the clinical  
22 development program for Hydexor.

1                   **Applicant Presentation - Bernard Schachtel**

2                   DR. SCHACHTEL: Bernie Schachtel. Good  
3 morning, everyone. I'm the chief scientific  
4 officer at Charleston Laboratories. Thank you for  
5 the opportunity to present the data from our  
6 clinical development program that supports the  
7 efficacy and safety of Hydexor as a treatment for  
8 acute pain while preventing and reducing OINV.

9                   We evaluated the safety and efficacy of  
10 Hydexor in a comprehensive clinical program. We  
11 evaluated relative bioavailability in three  
12 pharmacokinetic studies. We conducted two large  
13 randomized pivotal studies to evaluate efficacy and  
14 safety in two different acute models. We conducted  
15 an actual-use safety and effectiveness study in  
16 patients with acute flares of osteoarthritis. And  
17 as you've just heard, we also conducted a human  
18 abuse liability study. Overall, these studies  
19 enrolled more than 1300 subjects.

20                   Our bioequivalence studies use a standard  
21 crossover design. Study 004, which is shown here,  
22 demonstrated bioequivalence of Hydexor to the

1 reference-listed drugs in both fasted and fed  
2 conditions. Studies 012 and 013 established the  
3 bioequivalence of the hydrocodone in Hydexor to the  
4 hydrocodone in Norco, which was the active control  
5 in the clinical studies. Together these data  
6 established the pharmacokinetic bridge required  
7 under the 505(b)(2) pathway.

8 Next, we'll examine the efficacy results  
9 from the two pivotal trials, 002 and 003. These  
10 two phase 3 trials were conducted in standard acute  
11 pain models, oral surgery and bunionectomy. As  
12 described in your briefing document, both studies  
13 had entry criteria that were intended to enrich the  
14 study with patients who may develop OINV. This  
15 study design feature was included to help delineate  
16 more clearly and more efficiently a difference in  
17 the incidence of OINV between patients who are  
18 treated with Norco and patients who are treated  
19 with Hydexor in the studies.

20 Patients with moderate or severe pain after  
21 surgery were randomized to receive Hydexor, Norco,  
22 or placebo under double-blind conditions. In

1 study 002, dosing was every 4 to 6 hours as needed  
2 over 5 days. In study 003, patients were dosed 5  
3 times per day for the first 48 hours, then every 4  
4 to 6 hours as needed over the remaining 3 days.  
5 Patients recorded hourly the intensity of nausea,  
6 the intensity of pain, and the frequency of  
7 vomiting through 24 hours in the 002 study and  
8 through 48 hours in the 003 study, which were the  
9 primary evaluation periods for each study.

10 There were two co-primary endpoints, pain  
11 reduction by Hydexor compared to placebo and the  
12 reduction in the incidence of OINV comparing  
13 treatment with Hydexor to the positive control  
14 Norco. Additionally, as discussed with the agency,  
15 we prospectively and actively measured other opioid  
16 related adverse events on opioid symptom scales.

17 Both studies met their co-primary endpoints.  
18 In study 002, some pain intensity differences over  
19 24 hours demonstrated that Hydexor provided  
20 significant pain reduction compared with placebo.  
21 In study 003, the SPID-48 analysis demonstrated  
22 significant pain reduction compared with placebo

1 over 48 hours.

2 The co-primary OINV endpoint was also met in  
3 study 002. The incidence of OINV was 22 percent in  
4 absolute terms, 22 percent lower in the Hydexor  
5 treatment group than the Norco group, a difference  
6 that represents 38 percent relative reduction in  
7 the risk of developing OINV.

8 In study 002, OINV was defined as a  
9 composite endpoint with three components: any  
10 moderate or severe nausea; any use of a rescue  
11 antiemetic; and any occurrence of vomiting. Based  
12 on feedback from the FDA, we also assessed their  
13 preferred definition of OINV, which has two  
14 criteria: any use of a rescue antiemetic, which  
15 FDA regards as a surrogate for significant nausea;  
16 or any occurrence of vomiting. Using this  
17 two-component definition of OINV, the relative risk  
18 reduction was 64 percent in study 002. This  
19 definition was used as a co-primary OINV endpoint  
20 in study 003, and here we observed a 74 percent  
21 relative reduction in the risk of developing OINV.

22 We also observed consistent evidence of the

1 efficacy of Hydexor across the prespecified key  
2 secondary endpoints in both trials. In study 002,  
3 for example, compared to treatment with Norco, we  
4 see the effect of Hydexor on the intensity of  
5 nausea over the treatment period, and on the right  
6 is the effect of Hydexor compared to Norco on the  
7 frequency of vomiting.

8 Here from study 003, we see the effect of  
9 Hydexor compared to Norco on the development of  
10 post-discharge nausea and vomiting. In the middle,  
11 you see the effect of Hydexor and the need for  
12 rescue antiemetics and the frequency of vomiting.  
13 A description of these prespecified secondary  
14 endpoints in these studies is found in your  
15 briefing document. Among them is a comparison of  
16 the rates of complete response among patients  
17 treated with Hydexor compared to Norco.

18 Shown here over the 5-day treatment periods  
19 of studies 002 and 003 are the results using the  
20 FDA definition of complete response, which is "no  
21 emetic episode and no use of rescue antiemetic."  
22 In both studies, there was significant evidence of

1 the durability of the prevention of OINV with  
2 Hydexor treatment.

3 To conclude, Hydexor was shown to be  
4 bioequivalent to Hydexor, acetaminophen, and  
5 promethazine, and the pivotal phase 3 trials  
6 demonstrated that Hydexor significantly reduced  
7 pain and the risk of developing opioid-induced  
8 nausea and vomiting. Based on these results, we  
9 conclude that Hydexor can be an effective medicine  
10 for the short-term treatment of acute pain and for  
11 the prevention and reduction of OINV, improving  
12 pain management and patient recovery.

13 Thank you, and now I'll turn it over to  
14 Dr. Smith to discuss the safety results for  
15 Hydexor.

16 **Applicant Presentation - Thomas Smith**

17 DR. SMITH: Thank you, Dr. Schachtel.

18 Now that we've seen the efficacy data from  
19 our clinical program, I'll address our safety data.  
20 We realize that the ingredients in Hydexor are  
21 widely used and well known. That said, we're well  
22 aware of the potential risk, and we took a

1 proactive approach in understanding its safety  
2 profile.

3 In this extensive evaluation, we found no  
4 new specific safety concerns. Adverse events were  
5 mostly mild to moderate in severity and limited in  
6 duration. The most commonly found event, whether  
7 proactively solicited or spontaneously reported,  
8 was drowsiness. As expected with the addition of  
9 promethazine, drowsiness was more common with  
10 Hydexor than with Norco. Consistent with the  
11 alpha-adrenergic blocking effects of promethazine,  
12 there was also an increased incidence of lower  
13 blood pressures observed within the first 24 hours.  
14 We saw no respiratory depression.

15 All of these adverse events resolved and all  
16 subjects completed the studies without dose  
17 interruption or drug discontinuation and without  
18 any consequences of sequelae. The proposed label  
19 indication is for short-term use, and all known  
20 adverse events that are addressed in the warnings  
21 and precautions of the reference-listed products  
22 are also included in the proposed label for

1 Hydexor.

2 I'll start with a discussion of the safety  
3 results from our two pivotal studies 002 and 003  
4 and then review additional safety data from the  
5 open-label, actual-use safety study 006. We  
6 conducted a pooled analysis of safety for the two  
7 randomized pivotal studies. It's important to  
8 remember that in these studies, opioid symptoms  
9 were solicited by a directive questionnaire, and  
10 nausea and vomiting were efficacy endpoints. All  
11 other adverse events were captured in a  
12 conventional, spontaneous, and non-directive  
13 fashion.

14 Due to differences in collection methods, I  
15 will present adverse events actively solicited  
16 separate from other adverse events. Of note,  
17 across the three phase 3 studies that enrolled  
18 nearly 1200 patients, there were a total of 3 SAEs,  
19 none of which were considered related to study  
20 medication.

21 We used the OSS questionnaire for active AE  
22 collection. The OSS was adapted from the opioid

1 related Symptoms Distress Scale, or SDS, which was  
2 developed to measure common adverse events in the  
3 postoperative setting. With the exception of  
4 nausea and vomiting, which were clinical endpoints,  
5 we adjusted the SDS to assess these 9 additional  
6 side effects shown here. Each symptom was rated on  
7 a Likert scale ranging from zero or none to 10 or  
8 severe. The OSS was administered at baseline and  
9 periodically following drug administration.

10 In order to fully understand Hydexor, we  
11 thought it necessary to actively solicit each of  
12 these important opioid related symptoms. For  
13 context, to be included in this bar chart, a  
14 subject needed to acknowledge an event on just a  
15 single occasion. As you can see, most opioid  
16 related symptoms occurred at similar rates in the  
17 Hydexor and Norco groups in the pooled studies.

18 Drowsiness was the most commonly reported of  
19 these symptoms across all three treatment groups,  
20 occurring in 93 percent of patients in the Hydexor  
21 group, 89 percent in the Norco group, and  
22 69 percent in the placebo group. As would be

1 expected following a surgical procedure, many  
2 patients reported drowsiness pretreatment.

3 Consistent with the inclusion of  
4 promethazine and Hydexor, slightly higher numbers  
5 were observed with Hydexor versus Norco for certain  
6 CNS events. The majority of these were assessed as  
7 mild to moderate in intensity and again were  
8 without sequelae or consequence. In both pivotal  
9 studies, the mean severity of most side effects  
10 over the 5-day treatment period in both active  
11 treatment groups was mild. The exception was  
12 drowsiness in the Hydexor group where the mean  
13 severity was in the moderate range.

14 Let's look now at the severity of  
15 spontaneously reported adverse events, the  
16 conventional categories used in clinical trials.  
17 Across all three treatment groups, more than  
18 90 percent of the adverse events were categorized  
19 as either mild or moderate in severity by the  
20 investigators, and the rates of mild and moderate  
21 events were comparable between the Hydexor and  
22 Norco groups.

1           No patient in the Hydexor group had his or  
2 her dose reduced or interrupted or withdrew from  
3 the study due to an adverse event. As noted in the  
4 briefing document, there were three serious AEs  
5 across the entire program, 2 in the Hydexor groups  
6 and 1 in a Norco group. None were considered  
7 related to study drug.

8           Given the known safety profiles of the  
9 active ingredients in Hydexor, these are the events  
10 of special interest. As you can see, with the  
11 exception of promethazine and syncope, the  
12 incidence of these events was low or absent and  
13 similar between Hydexor, Norco, and placebo.  
14 Hypotension was reported as an AE in 3 patients in  
15 each of the active treatment groups, but no  
16 patients had a reduction in dose or discontinued  
17 due to hypotension.

18           When we looked more broadly at systolic and  
19 diastolic blood pressures across these studies, we  
20 observed an increased incidence of lower blood  
21 pressures among the Hydexor patients within the  
22 first 24 hours. This observation is consistent

1 with the alpha-adrenergic blocking effects of  
2 promethazine and will be addressed in our proposed  
3 label. In addition, there was no respiratory  
4 depression observed.

5           When we examined the AESIs in greater  
6 detail, we found that none were deemed severe by  
7 the investigators, none resulted in dose reduction,  
8 study drug interruption, or discontinuation. None  
9 resulted in clinically significant consequences or  
10 sequelae, and all resolved without reoccurrence  
11 while on treatment. As with the labels of the  
12 reference-listed drugs, these events are noted in  
13 the proposed label for Hydexor under warnings and  
14 precautions.

15           Turning now to study 006, recall that it was  
16 designed to provide additional exposure data in an  
17 actual-use setting. Study 006 enrolled  
18 osteoarthritis patients whose pain from acute  
19 flares was not adequately controlled with non-  
20 steroidal and were opioid naive. Patients were  
21 taken off of NSAIDs and other arthritis treatments.

22           Patients who reported acute flares of OA

1 were evaluated for inclusion. Those included were  
2 directed to take Hydexor every 4 to 6 hours as  
3 needed for pain. This patient population was not  
4 enriched for OINV and adverse events were collected  
5 through spontaneous reporting via patient diaries.  
6 179 patients were enrolled and all but one received  
7 study drug. Ninety-seven percent of enrolled  
8 patients completed this study.

9 This study provided additional data in older  
10 patients as well. The mean age was 61.2 years and  
11 37 percent of the patients were older than age 65.  
12 The typical patient was white, female, and with a  
13 body mass index of 29. At baseline, 46 percent had  
14 moderate pain and 53 percent had severe pain.

15 A total of 185 adverse events were reported  
16 by 81 patients in study 006. The majority of  
17 events were of mild or moderate severity, and the  
18 most frequent events were drowsiness and dizziness.  
19 Again, these are expected side effects of both  
20 hydrocodone and promethazine, so the Hydexor  
21 proposed label is consistent with the labels of the  
22 reference-listed drugs and includes the same

1 warnings and precautions. Only 4 patients reported  
2 nausea and vomiting, and as noted in the briefing  
3 document, patients reported improvements in joint  
4 pain, in stiffness, and activities of daily living.

5 To summarize our safety results, each of the  
6 ingredients in Hydexor is widely used and well  
7 known, producing a manageable and predictable  
8 safety profile. No new specific safety concerns  
9 were identified during the phase 3 pivotal studies,  
10 or the actual-use safety study, or in any of the  
11 dedicated clinical pharmacology studies.

12 Overall, the clinical program demonstrated  
13 that Hydexor was generally well tolerated with side  
14 effects that were mostly mild or moderate in  
15 intensity and limited in duration. The most  
16 commonly reported adverse event was drowsiness,  
17 which was more frequent with Hydexor than with  
18 Norco.

19 We also observed an increased incidence of  
20 lowered blood pressures among the Hydexor patients  
21 within the first 24 hours, which is consistent with  
22 the conclusion of promethazine. All known adverse

1 events that are addressed in the warnings and  
2 precautions of the reference-listed products are  
3 also included in the proposed label for Hydexor.

4 Turning now to our plans for responsible  
5 stewardship of Hydexor, we recognize that the  
6 public health crisis of opioid abuse, which is  
7 occurring. Federal and state authorities across  
8 the nation are confronting this crisis, and we want  
9 to be a part of this movement. We are committed to  
10 fostering responsible prescribing and safe use of  
11 Hydexor, and we are proposing innovative steps to  
12 help limit duration, control dosing, and reduce the  
13 number of unused pills available for abuse, misuse,  
14 and diversion.

15 First, in agreement with the FDA, we will  
16 implement an interim REMS for Hydexor until the  
17 classwide REMS for IR opioids has been improved.  
18 Our interim REMS will include the package insert  
19 for healthcare provider education, a medication  
20 guide for patients, a communication plan for  
21 educating all stakeholders, and an annual  
22 healthcare provider education assessment.

1           At the foundation of our REMS is our  
2 proposed label. We agree with the FDA that the  
3 medical community should limit the quantity of  
4 opioid analgesics being prescribed and dispensed.  
5 To achieve this objective, we have taken two  
6 important steps.

7           First, we've defined short-term use for  
8 acute pain as generally less than 14 days, which  
9 was stated both in our proposed label and our  
10 medication guide for patients. Second, we have a  
11 proposed dosing schedule of 1 tablet every 4 to  
12 6 hours as needed for a maximum daily dosage of  
13 6 tablets. This is a departure from the current  
14 practice of prescribing IR hydrocodone, which is 1  
15 to 2 tablets every 4 to 6 hours for a total of up  
16 to 12 tablets per day.

17           To further moderate dosing and reduce the  
18 potential availability of unused product, we are  
19 proposing 3, 5, and 7-day packages. They will be  
20 F1 child-resistant packs with a total of 18, 30, or  
21 42 tablets, respectively. This packaging is  
22 designed to meet the expectations of the FDA and

1 state representatives to reduce the size of opioid  
2 prescriptions for acute pain.

3 We realize that we may alienate some  
4 clinicians with these quantity limits, however, we  
5 strongly feel as an organization that this change  
6 is long overdue. It aligns with the research  
7 Dr. Gan just cited regarding the optimal duration  
8 of therapy in this setting and the data that  
9 Dr. Comer noted regarding quantity of unused  
10 tablets under current prescribing practices.

11 We are also taking a responsible  
12 commercialization approach. Our logistics partners  
13 have extensive experience with Schedule II opioid  
14 analgesics and will work in coordination with us to  
15 report any suspicious ordering, dispensing, and  
16 distribution activities. We are working with them  
17 and others to develop a first-in-class opioid  
18 buyback program known as HydexorReturn that would  
19 allow patients to return unused tablets for their  
20 proper disposal. Together we are working to  
21 resolve the potential legal, regulatory, and  
22 operational challenges to launch such a plan.

1           In addition, all customer-facing personnel  
2 will be trained on best practices in acute pain,  
3 addiction medicine, OINV, and the appropriate use  
4 of Hydexor. Our ongoing monitoring will track  
5 patient experience in use, physician prescribing  
6 patterns, and trends in pharmacy ordering and  
7 dispensing. Our pharmacovigilance program will  
8 provide ongoing safety monitoring and reporting to  
9 the FDA and other stakeholders.

10           Finally, to help with our annual REMS  
11 effectiveness assessments, provide guidance on our  
12 HydexorReturn buyback program, and consult on other  
13 risk mitigation efforts, we are forming an  
14 independent risk mitigation advisory board. Our  
15 risk mitigation advisory board will include experts  
16 in abuse and addiction, drug enforcement, acute  
17 pain management, and related fields.

18           To summarize our approach, we will foster  
19 responsible use of Hydexor through REMS and our  
20 abuse mitigation program. In addition, our  
21 labeling and packaging and the buyback program that  
22 we are exploring are all intended to help reduce

1 the number of unused tablets available for abuse,  
2 misuse, and diversion, and we will employ  
3 comprehensive programs for education, distribution,  
4 pharmacovigilance, monitoring, and surveillance.  
5 Finally, our launch focus will be limited to select  
6 surgeons and acute pain specialists.

7 To conclude our presentation, I'd like to  
8 provide our assessment of the benefit-risk profile  
9 for Hydexor. First, it's important to remember  
10 that we are addressing an unmet need. As Dr. Gan  
11 discussed, OINV is a common occurrence that places  
12 significant burdens on patient recovery, clinical  
13 outcomes, and the healthcare system.

14 The benefits of Hydexor are clear. Hydexor  
15 significantly reduced pain and prevented OINV.  
16 These results were consistent across secondary  
17 endpoints and were durable. The safety profiles of  
18 hydrocodone APAP and promethazine are well  
19 characterized, and when combined in Hydexor, we see  
20 no new safety signals. There is an increased risk  
21 of drowsiness, and this is noted in our proposed  
22 label.

1           We recognize that we are proposing to  
2     introduce Hydexor during this national crisis of  
3     opioid abuse, and all of us are charged with  
4     considering the public health implications of the  
5     potential for abuse of Hydexor. But as we heard  
6     from Dr. Comer this morning, while we did not see  
7     evidence of increased abuse in the human abuse  
8     liability study, we're taking comprehensive and  
9     innovative approaches to mitigate the risk of  
10    abuse, misuse, and diversion. Taken together, our  
11    clinical data and our commitments show that the  
12    benefits of Hydexor outweigh the risk.

13           Thank you. We look forward to your  
14    questions and discussions later this morning.

15           DR. McCANN: Before we start with clarifying  
16    questions, could we have Dr. Ciccarone introduce  
17    himself?

18           DR. CICCARONE: Good morning, everyone. My  
19    apologies for being late. Dan Ciccarone, professor  
20    of family and community medicine, UCSF.

21                           **Clarifying Questions**

22           DR. McCANN: Thank you.

1           If we could have some clarifying questions  
2 for Charleston. You can turn your name tag to the  
3 side, and then we'll get your name. Dr. Michna?

4           DR. MICHNA: I have a few questions. As an  
5 old pharmacist, I always had trouble with  
6 combination drugs. Instead of helping in terms of  
7 flexibility, I think they kind of limit it. My  
8 question is you have one strength, and you picked  
9 7.5 milligrams. That's higher than the usual dose.  
10 So I would think somebody with nausea and  
11 vomiting -- why would you give them a  
12 suprathereapeutic dose? I would think that would  
13 increase the chance of nausea and vomiting. So why  
14 did you pick 7.5 milligrams?

15           DR. SMITH: We picked the 7 and a half  
16 milligrams of hydrocodone with that acetaminophen  
17 because it was a commonly used dose, and we wanted  
18 to ensure pain relief in these patients with acute  
19 pain on a schedule of up to 6 tablets a day.

20           That's why we picked it. But along with it,  
21 we chose the lowest oral solid dose of  
22 promethazine, again, wanting to give patients the

1 benefit of the relief of the opioid from the nausea  
2 and vomiting. So we limited the quantity of  
3 promethazine with that.

4 DR. MICHNA: But the acute pain is  
5 self-limiting, and it goes down, so maybe a  
6 patient's not going to need 7.5 milligrams. Have  
7 you tested half tablet and see if that was equally  
8 effective?

9 DR. SMITH: We have not tested half tablet.  
10 No, sir.

11 DR. MICHNA: Again, pain goes down. There  
12 has to be some flexibility here. Maybe patients  
13 don't want to take that full dose.

14 The other thing is do you think that higher  
15 dose skewed your data? You're giving higher than  
16 normal doses of opioid, so therefore, I would  
17 predict that there has to be a dose related  
18 phenomenon that more opioid would produce more  
19 nausea and vomiting. Do you think that in any way  
20 skewed the incidence of nausea and vomiting?

21 DR. SMITH: I'm going to ask Dr. Gan to  
22 address that and to give some more color around

1 that; if you would, please, Dr. Gan?

2 DR. GAN: I think the question is about  
3 balancing between pain relief and relieving one of  
4 the side effects of opioid, which is nausea and  
5 vomiting. Certainly, I take your point that  
6 everyone's pain may be different, but what I'll  
7 argue is that this is the most commonly used  
8 dosage. And if a patient has taken, as I typically  
9 do, as a PRN basis, that if they do not need to use  
10 as much, they don't have to take on this schedule  
11 basis.

12 The other thing I would say is that the  
13 promethazine, as we all know, is commonly used in  
14 the postoperative setting. And to try to minimize  
15 the side effects of promethazine, this dose of  
16 promethazine is half what we normally use, which  
17 would be typically the prescription if you give a  
18 separate opioid as well as promethazine.

19 DR. MICHNA: Well, I don't know what the  
20 data is that 7.5 is a usual dose, since  
21 5 milligrams is the usual dose, and it's 1 to  
22 2 tablets. So I don't know where you -- you may be

1 averaging whether they take 1 or 2, but I didn't  
2 see any of that data that you presented.

3 The other thing is if you're going to give  
4 something orally, why not pre-dose them an hour or  
5 an hour and a half in advance? I could give  
6 12.5 milligrams of promethazine, but clinically I  
7 would give that an hour and a half before I exposed  
8 them to opioids.

9 DR. HERTZ: This is Sharon Hertz. Very good  
10 questions, but this time is just to clarify what  
11 the company has presented and further discussion  
12 should occur once we're through the open public  
13 hearing.

14 DR. MICHNA: Sure. Okay.

15 DR. McCANN: Dr. Morrato?

16 DR. MORRATO: I will try and make mine just  
17 clarifying. I had one clarifying around the abuse  
18 potential study. Can you describe what was the  
19 patient population that was in it, and if they had  
20 history of nausea or not the way you define  
21 eligibility criteria in the trials?

22 DR. SMITH: I'll ask Dr. Comer to speak to

1 that.

2 DR. COMER: Hi. This is Sandy Comer. The  
3 population were recreational opioid users, so they  
4 had to have used opioids for recreational purposes  
5 at least 10 times in the past year. We didn't show  
6 the data, but they were not selected for their  
7 propensity to experience nausea and vomiting, but  
8 they did experience it during the trial.

9 DR. MORRATO: But not enough that they  
10 weren't using it recreationally.

11 DR. COMER: Correct.

12 DR. MORRATO: The second question, I agree  
13 that the buyback program is novel, and I'd like to  
14 hear more details on its feasibility of  
15 implementation and specifics. Have you had  
16 discussions with DEA? As a controlled substance,  
17 how does someone actually return it? Who benefits  
18 from the payment? It might be the insurer or me  
19 out of pocket. And to what degree, since these are  
20 state regulated, have you actually reached out to  
21 individual states?

22 So I'm really concerned around the

1 feasibility. It sounds great, but is it really  
2 going to happen?

3 DR. SMITH: Right. Where we're at to date,  
4 we are quite committed to this program. We have  
5 reached out to a third party, a reputable third  
6 party that has experience in supply chain and  
7 retail pharmacy. We realize that there are  
8 limitations under the Controlled Substances Act and  
9 provisions there. But again, as you can imagine,  
10 this is quite a process, but we are having  
11 conversation currently. We are not yet having  
12 conversation with DEA. We have met with some  
13 former DEA people to kind of get their input as  
14 well to see how this can happen.

15 I think a good way to look at this is as a  
16 reverse distribution model. Just like we get drug  
17 out, why shouldn't we be able to get it out of the  
18 system as well and back for incineration. We  
19 haven't really worked through all the remuneration  
20 yet, thinking that perhaps this is a program that  
21 the patient, when he or she picks up their  
22 prescription, they either sign, opt in or opt out.

1 We would send them the materials necessary. They  
2 would get part of their co-pay back, and then  
3 another portion back at the end when everything is  
4 accounted for and returned for incineration.

5 We understand it's a novel program. We're  
6 committed to it. I think it's like anything else  
7 that's complex. There sounds to be enthusiasm  
8 around it from the stakeholders that we've reached  
9 out to. It's just how do we implement that, how do  
10 we phase it in, to make sure that it happens. But  
11 certainly, I think it's a real step in the right  
12 direction.

13 DR. McCANN: We have time for just one last  
14 question. We'll take clarifying questions after  
15 the FDA presentation, but we'll listen to  
16 Dr. Galinkin.

17 DR. GALINKIN: I actually have two  
18 questions, but the first question is quick. Did  
19 the patients in the operating room study get Zofran  
20 or scopolamine or anything since they're identified  
21 as high-risk patients?

22 The second question is did you do any

1 multidose PK studies to the maximum time of 14  
2 days, specifically in patients who are cytochrome  
3 P450 2D6 metabolizers since promethazine is a  
4 CYP2D6 substrate?

5 DR. SMITH: Right. Again, I'll ask Dr. Gan  
6 to address the specifics around what they were  
7 dosed with. They could have rescue antiemetics.  
8 Actually, maybe Dr. Schachtel, if you would come up  
9 and talk about the use of rescue and address that.

10 DR. GALINKIN: I meant specifically  
11 prophylactic in the operating room, more of a  
12 standard of care.

13 DR. SMITH: No, I understood.

14 DR. SCHACHTEL: Bernie Schachtel. Exactly.  
15 No. The answer is no one, no, to your  
16 prophylactic -- well understood, since they would  
17 obviously contaminate our evaluation, of any  
18 prophylactic effects.

19 Your second question was what?

20 DR. GALINKIN: The second question is did  
21 you do multidose PK studies for promethazine,  
22 specifically in CYP2D6 poor metabolizers?

1 DR. SCHACHTEL: No, I understand. We have  
2 considered it. It was deemed unnecessary actually,  
3 so we did not conduct that trial.

4 DR. McCANN: We will now proceed with the  
5 FDA presentations.

6 **FDA Presentation - Timothy Jiang**

7 DR. JIANG: I'm Dr. Timothy Jiang with the  
8 Division of Anesthesia, Analgesia, and Addiction  
9 Products. The sponsor has provided a comprehensive  
10 summary of data intended to support the safety and  
11 efficacy of Hydexor, and I will provide additional  
12 clinical considerations in the agency's evaluation  
13 of this application.

14 My presentation will focus on certain  
15 aspects of the study population and safety  
16 evaluation as they pertain to the indication under  
17 consideration. As you just heard, Hydexor is a  
18 fixed-dose combination product containing the  
19 analgesic hydrocodone and acetaminophen as well as  
20 antiemetic promethazine. Promethazine was included  
21 in the combination to mitigate the effects of  
22 nausea and vomiting associated with opioid therapy.

1           The sponsor has proposed the following  
2           indication, which incorporates the prevention and  
3           reduction of opioid-induced nausea and vomiting in  
4           a broad, unrestricted patient population. The  
5           proposed indication is novel in which FDA has not  
6           previously approved combination products that  
7           incorporate both an analgesic component along with  
8           a nausea and vomiting component to the indication.  
9           Similar to all other currently available analgesic  
10          products containing hydrocodone and acetaminophen  
11          in combination, Hydexor has not been formulated  
12          with features intended to defer abuse.

13           As you just heard, the sponsor conducted two  
14          phase 3 studies to support Hydexor for the proposed  
15          indication. Study 002 is a dental pain study and  
16          study 003 is a post-bunionectomy pain study. Both  
17          studies only enrolled patients that were  
18          anticipated to be prone to nausea and vomiting as  
19          determined by the results of a nausea-prone  
20          questionnaire, NPQ, with or without a hydrocodone  
21          challenge.

22           Based on this assessment, patients were

1 classified as likely nausea prone or possibly  
2 nausea prone. Likely nausea prone was defined a  
3 patients having reported nausea or vomiting  
4 following previous opioid exposure and/or  
5 experienced nausea or vomiting following the  
6 hydrocodone challenge. The possible nausea prone  
7 was defined as patients having reported nausea or  
8 vomiting in the context of a variety of other  
9 situations. Additionally, the protocol allowed the  
10 investigator to enroll up to 10 percent of patients  
11 who did not meet the predefined nausea-prone  
12 criteria but were thought to be nausea prone based  
13 on clinical judgment.

14 This table summarizes the results of  
15 nausea-prone assessment for the randomized patients  
16 in the two phase 3 studies. For both studies, the  
17 majority, i.e., 79 percent or 69 percent, of  
18 patients were classified as likely nausea prone.

19 As you just heard, the sponsor proactively  
20 evaluated patients for 9 opioid related adverse  
21 events, AEs, using the Opioid Symptom Scale, OSS,  
22 in the phase 3 studies. Patient rated the severity

1 of each symptom on a 0 to 10 Likert scale. You  
2 have seen the slide in a slightly different version  
3 by the sponsor.

4 This table summarizes the overall results on  
5 the opioid symptom scale in the pooled phase 3  
6 studies regardless of the severity. Risk of CNS  
7 SAEs such as confusion, difficulty concentrating,  
8 and drowsiness were higher in the Hydexor group  
9 compared to the Norco group, although it should be  
10 noted that placebo treated patients also  
11 experienced a fairly high frequency of these AEs,  
12 potentially owing to the proactive nature in which  
13 these AEs were collected.

14 However, when you look at the severe AEs on  
15 the opioid symptom scale, there is a much cleaner  
16 separation between treatment groups with Hydexor  
17 treated patients having higher frequency of CNS  
18 rated AEs. For example, in the dental pain study,  
19 severe drowsiness was experienced by 46 percent of  
20 Hydexor treated patients compared to 37 for the  
21 Norco. Similar trends were also seen in the  
22 post-bunionectomy pain study with severe drowsiness

1 affecting 42 percent of subjects in the Hydexor  
2 group compared to 21 in the Norco group in the  
3 first 2 days.

4           Although the results on the opioid symptom  
5 scale demonstrated a relatively modest increase in  
6 the CNS AEs for Hydexor compared to Norco, there  
7 was a more pronounced increase in the frequency of  
8 severe CNS AEs for Hydexor. There were no  
9 discontinuations due to AE in the phase 3 program,  
10 however, in the open-label safety study 006, there  
11 was one subject discontinued from Hydexor due to  
12 several AEs, including somnolence. There were no  
13 deaths or nonfatal CNS serious AEs that were  
14 attributed to Hydexor.

15           We heard from sponsor that there was a  
16 decreased amount of opioid-induced nausea and  
17 vomiting, OINV, with Hydexor as compared to Norco  
18 as demonstrated on the agency's preferred nausea  
19 and vomiting endpoints. The sponsor is proposing a  
20 prevention and reduction of OINV indication in a  
21 broad, unrestricted patient population requiring  
22 opioid and analgesic therapy. However, if Hydexor

1 was approved, the indication would need to be  
2 modified in two important ways: first, to reflect  
3 the agency's preferred nausea and vomiting  
4 endpoints; and secondly, to reflect a more narrow  
5 population based on the observed and potential  
6 safety concerns.

7 The preferred OINV endpoints consist of  
8 vomiting or use of antiemetic medication and  
9 reflects the prevention of OINV rather than a  
10 reduction in the symptoms in patients experiencing  
11 OINV, therefore, the appropriate indication for the  
12 OINV component would be the prevention of OINV.  
13 Also, the indication should be limited to the  
14 patients who expect to be prone to opioid-induced  
15 nausea and vomiting because this study population  
16 was enriched to this type of patient, and a higher  
17 frequency of CNS related AEs was observed in the  
18 Hydexor group compared to the Norco group, as I  
19 just discussed.

20 Additionally, promethazine is associated  
21 with a number of AEs as described in the approving  
22 label. Although serious AEs related to

1 promethazine were not observed in the clinical  
2 studies and because not all patients who are  
3 treated with opioids experience OINV, it is not  
4 appropriate to prescribe a medication to patients  
5 who are not expected to realize a benefit.

6 Therefore in closing, the indication must reflect  
7 that Hydexor be used in patients who expect to be  
8 prone to nausea and vomiting. Thank you.

9 **FDA Presentation - Jennie Wong**

10 LCDR WONG: Hi. Good morning, everyone. My  
11 name is Jennie Wong, and I'm a drug utilization  
12 analyst in the Division of Epidemiology II in the  
13 Office of Surveillance and Epidemiology. I will be  
14 presenting analysis on recent drug use patterns of  
15 hydrocodone-acetaminophen, and promethazine in the  
16 outpatient retail market to provide context for  
17 today's discussion.

18 Here is an outline of my presentation.  
19 Using several databases, we focused on analysis of  
20 the outpatient retail setting, which was the  
21 primary setting of care where the products of  
22 interest, namely hydrocodone-acetaminophen, and

1 promethazine, are used based on sales distribution  
2 data. The list here provides the products which  
3 were included in our analyses. We focused on  
4 hydrocodone APAP products and  
5 promethazine-containing products, and also included  
6 other selected opioid analgesic products to provide  
7 additional context.

8 This graph shows the nationally estimated  
9 number of patients who received a prescription  
10 dispensed from retail pharmacies. In this graph,  
11 the number of patients who received a dispensed  
12 prescriptions for hydrocodone APAP decreased from  
13 approximately 45 million patients in 2012 to  
14 37 million patients in 2016. Of note, in October  
15 of 2014, the DEA rescheduled hydrocodone  
16 combination products from Schedule III to a more  
17 restrictive Schedule II. The decline seen may be  
18 the result of this action, but we did not assess  
19 the factors impacting this decline.

20 This figure shows the estimated number of  
21 dispensed prescriptions for hydrocodone APAP and  
22 selected opioid analgesic comparators. Similar to

1 the patient data, prescriptions dispensed for  
2 hydrocodone APAP decreased 34 percent from  
3 approximately 125 million to 83 million  
4 prescriptions in 2016. For the selected opioid  
5 comparators, the prescription volume for  
6 combination oxycodone-acetaminophen, hydromorphone  
7 and tapentadol declined while prescriptions  
8 dispensed for oxycodone, morphine, and oxymorphone  
9 increased. Hydrocodone-acetaminophen continued to  
10 account for the largest proportion of the selected  
11 drugs throughout this time period.

12 This figure shows the estimated number of  
13 prescriptions dispensed for promethazine-containing  
14 products. Of the total prescriptions, the majority  
15 of the promethazine was dispensed for oral  
16 formulations. Focusing on single-ingredient oral  
17 promethazine, dispensed prescriptions declined  
18 approximately 19 percent from 11 million  
19 prescriptions in 2012 to 8 million prescriptions in  
20 2016.

21 We now move on to prescriber specialty data  
22 for hydrocodone-acetaminophen. Primary care

1 providers such as family practice, general  
2 practice, internal medicine were the top prescriber  
3 for hydrocodone-acetaminophen products followed by  
4 mid-level practitioners and dentists.

5 Using another data source, we assessed  
6 possible concurrent use of hydrocodone-  
7 acetaminophen, and promethazine products based on  
8 prescription claims data. In this analysis an  
9 episode of concurrency was identified when a  
10 prescription for hydrocodone APAP overlapped with  
11 the days' supply for a dispensed prescription for  
12 single-ingredient promethazine. Patients with  
13 overlapping therapy days of at least 1 day from  
14 both prescriptions were identified as patients on  
15 concurrent therapy.

16 This analysis of prescription claims data  
17 showed that hydrocodone APAP and promethazine were  
18 dispensed together. The number of patients with  
19 concurrent prescription claims declined over the  
20 study period to approximately 1.1 million patients  
21 in 2017. However, based on prescription claims  
22 data alone, it is not clear if the prescriptions

1 were prescribed together intentionally or for what  
2 indication.

3 In order to understand prescribers' intent,  
4 we used an office-based physician survey data  
5 source to explore intended use of  
6 hydrocodone-acetaminophen and promethazine together  
7 as well as diagnoses associated with the use of  
8 these products.

9 This figure provides the nationally  
10 estimated number of times hydrocodone-acetaminophen  
11 was mentioned during the office visit either to be  
12 used alone or concomitantly with another drug.  
13 Approximately 63 percent of reported use was for  
14 hydrocodone APAP to be used alone followed by  
15 hydrocodone APAP mentioned along with ibuprofen or  
16 cephalexin. No data was reported for the  
17 concomitant use of hydrocodone APAP and  
18 promethazine in 2016.

19 Using the same data source, this figure  
20 captures the most frequently mentioned drug for the  
21 treatment of nausea and vomiting as reported by the  
22 physician survey data in 2016. The most frequently

1 mentioned drug associated with diagnoses code for  
2 nausea and vomiting was ondansetron followed by  
3 single-ingredient promethazine.

4           As with all studies, there are limitations.  
5 Only outpatient utilization was assessed. No  
6 inpatient or mail order data were included in our  
7 analyses, however, this setting accounted for the  
8 majority of utilization. As mentioned, the  
9 concurrency data derived from prescription claims  
10 may be written from two different prescribers and  
11 for different indications other than OINV. For  
12 example, promethazine may be prescribed to treat  
13 nausea and vomiting associated with chemotherapy or  
14 HIV treatment.

15           The concomitant prescribing and diagnoses  
16 data based on survey data are not linked to  
17 dispensed prescription data. These data were  
18 derived from surveys of office-based physicians and  
19 may not have captured prescribing patterns of  
20 physicians who practice in other settings of care  
21 such as hospice, pain, cancer, or urgent care  
22 clinics. Our finding also does not include data

1 from prescribers such as dentists and mid-level  
2 practitioners. It may not be representative of all  
3 prescribers.

4 In summary, outpatient retail utilization of  
5 both hydrocodone APAP and single-ingredient  
6 promethazine products decreased during the examined  
7 time period. Concurrent prescription claims for  
8 hydrocodone APAP and promethazine has decreased  
9 over the study period to an estimated 1.1 million  
10 patients in 2017.

11 Based on survey data, hydrocodone APAP and  
12 promethazine-containing products were not reported  
13 to be prescribed together by the same prescriber  
14 during the same office visit in 2016. The most  
15 frequently reported drug for treatment of nausea  
16 and vomiting was ondansetron. Although mentions of  
17 promethazine were substantially lower, it was the  
18 second most mentioned drug used for the treatment  
19 of nausea and vomiting after ondansetron.

20 That concludes my presentation. Thank you.  
21 Now, I'll turn it over to Dr. McAninch for our next  
22 presentation on postmarketing data on misuse and

1 abuse of hydrocodone and promethazine. Thank you.

2 **FDA Presentation - Jana McAninch**

3 DR. McANINCH: Good morning. My name is  
4 Jana McAninch. I'm a medical epidemiologist in the  
5 Office of Surveillance and Epidemiology, and I'll  
6 be discussing the postmarketing data on misuse and  
7 abuse of hydrocodone and promethazine. First, I'd  
8 like to provide a bit of background and explain the  
9 purpose of this presentation.

10 In July 2017, the National Academy of  
11 Sciences, Engineering, and Medicine issued the  
12 report, Pain Management and the Opioid Epidemic:  
13 Balancing Societal and Individual Benefits and  
14 Risks of Prescription Opioid Use. One of the aims  
15 of this report was to advise FDA regarding actions  
16 it could undertake to balance the needs of pain  
17 patients and the need to address opioid misuse.

18 The report recommends developing a  
19 regulatory framework that balances individual need  
20 for pain control with considerations of the broader  
21 public health consequences of opioid misuse to  
22 ensure that opioids are safely prescribed and that

1 as actually used, the drugs provide benefits that  
2 clearly outweigh their harms. The purpose of our  
3 review and of this presentation is to provide  
4 descriptive postmarketing data on hydrocodone and  
5 promethazine to help conform the consideration of  
6 Hydexor's risk-benefit balance.

7 Just a couple of definitions before we move  
8 to the data, there is often some confusion around  
9 the meaning of the terms "misuse" and "abuse" and  
10 the definitions vary according to the data source.  
11 Unless otherwise specified, I will use the  
12 following definitions consistent with other FDA  
13 communications.

14 Abuse refers to the intentional,  
15 non-therapeutic use of a drug product or substance,  
16 even once, to achieve a desirable psychological or  
17 physiological effect, and misuse refers to the  
18 intentional therapeutic use of a drug product in an  
19 inappropriate way and specifically excludes the  
20 definition of abuse.

21 First I'll present some data on hydrocodone  
22 misuse and abuse. These data are from the 2016

1 National Survey on Drug Use and Health's large,  
2 annual, nationally representative household survey  
3 of individuals age 12 years or older in the United  
4 States. In 2016, an estimated 55 million people,  
5 or 20 percent of this population, had used a  
6 hydrocodone product in the past year, and  
7 approximately 7 million, or 2.6 percent of the  
8 population, had misused hydrocodone in the past  
9 year.

10 Misuse in this survey is defined as use in  
11 any way not directed by a doctor, including use  
12 without a prescription of one's own, using greater  
13 amounts more often, or longer than told to take a  
14 drug, or in any other way not directed by a doctor.  
15 Based on these data, approximately 13 percent of  
16 past-year hydrocodone users age 12 years or older  
17 misused the product in the past year. In the 18-  
18 to 25-year-old age group about 1 in 4 hydrocodone  
19 users reported misusing the drug.

20 In this survey, most of those who reported  
21 misusing prescription opioids reported that they  
22 did so to treat physical pain, with only about

1 12 percent reporting misusing them to feel good or  
2 to get high.

3           These figures show data collected from  
4 individuals in the U.S. who are entering or being  
5 assessed for substance use disorder treatment in  
6 the NAVIPPRO ASMIV surveillance network. In this  
7 published study, Cassidy and colleagues found that  
8 IR hydrocodone combination products were the most  
9 commonly reported drugs abused in the past 30 days.  
10 However, after adjusting for the number of  
11 prescriptions dispensed in the study coverage area,  
12 hydrocodone's abuse rates were the lowest of the  
13 opioid categories examined.

14           Next, these data are from our analyses of  
15 calls to U.S. poison control centers. From 2010 to  
16 2016, there were a little more than 100,000 calls  
17 to poison centers indicating intentional exposure  
18 to a hydrocodone-acetaminophen product. Of these,  
19 approximately 12 percent were classified as abuse  
20 and 14 percent as misuse. Only 31 percent of the  
21 intentional hydrocodone-acetaminophen exposures  
22 were single-substance exposures, meaning that only

1 one drug product was involved. And of these,  
2 approximately 13 percent were classified as abuse  
3 and 23 percent as misuse.

4 Next are data from the NEISS-CADES project,  
5 which is a joint effort of the CDC, the U.S.  
6 Consumer Product Safety Commission, and the FDA  
7 that collects data on clinician diagnosed drug  
8 related adverse events in a national stratified  
9 probability sample of approximately 60 hospital  
10 emergency departments.

11 In 2016, NEISS-CADES surveillance activities  
12 were expanded to encompass emergency department  
13 visits resulting from abuse, self-harm, drugs used  
14 for unknown intent, and assault, in addition to  
15 therapeutic adverse drug events. In 2016, there  
16 were 73 cases in the NEISS-CADES sample  
17 corresponding to an estimated 5093 emergency  
18 department visits in the United States due to abuse  
19 of a hydrocodone-containing product. In 30 of  
20 these cases corresponding to 2075 visits  
21 nationally, hydrocodone was the only drug  
22 implicated.

1           There were also an estimated 3365 visits for  
2 therapeutic misuse of hydrocodone, for example,  
3 taking a very large amount to sleep or taking  
4 someone else's prescription medication; and 8287  
5 visits where the intent of drug use was not known,  
6 for example, the patient may have been unconscious  
7 or unwilling to describe why the drug was taken.

8           These are data from analyses that we  
9 conducted of the drug involved mortality linked  
10 data files, which combine data from the National  
11 Vital Statistics Mortality files with information  
12 extracted from the death certificate literal text.  
13 From 2010 to 2015, there were approximately 20,000  
14 deaths involving hydrocodone among individuals age  
15 12 years and older. In approximately 1600, or  
16 8 percent, of these, hydrocodone was the only  
17 substance mentioned, and approximately 39 percent  
18 of hydrocodone-involved deaths explicitly had  
19 misuse or abuse mentioned on the death certificate.

20           In 2016, FDA reviewed epidemiologic data on  
21 the route of abuse for hydrocodone combination  
22 products. We found that the route of abuse

1 patterns vary widely depending on the population  
2 being studied. Abuse of hydrocodone combination  
3 products is predominantly oral in all populations  
4 examined, but intranasal abuse is also quite common  
5 in some populations, particularly in those with  
6 more advance substance use disorders. However, the  
7 intranasal route is generally not reported as the  
8 preferred or the exclusive route for abuse of these  
9 products.

10 As far as harms, case series show that  
11 intranasal drug abuse can cause nasal tissue  
12 necrosis and fungal infections, but the incidence  
13 of these complications is unknown. The available  
14 data suggests that injection abuse of hydrocodone  
15 combination products is very infrequent.

16 I'll now transition to postmarketing data on  
17 misuse and abuse of promethazine, both alone and in  
18 combination with opioids. These data are somewhat  
19 more limited, and we're not aware of any national  
20 survey data on promethazine misuse or abuse.

21 First, let's look at the poison control  
22 center call data. From 2010 to 2016, there were

1 approximately 15,000 calls for intentional  
2 promethazine exposures. Of these, approximately  
3 10 percent, or 1451 calls, were classified as abuse  
4 and another 10 percent were classified as misuse.

5           There were 570 abuse and 855 misuse calls in  
6 which only a promethazine-containing product was  
7 mentioned. Of these, there were 233 abuse calls  
8 and 450 misuse calls involving a single-ingredient  
9 promethazine product and 207 abuse calls and 231  
10 misuse calls involving promethazine-codeine  
11 combination products. Approximately 30 percent of  
12 the single-substance calls involving  
13 promethazine-codeine products were classified as  
14 abuse and 33 percent as misuse. We also identified  
15 79 abuse calls and 85 misuse calls involving both  
16 promethazine and hydrocodone-acetaminophen.

17           Within the 2016 NEISS-CADES sample of  
18 hospitals, there were 18 emergency department  
19 visits related to abuse of a  
20 promethazine-containing product, one case of  
21 therapeutic misuse and 8 cases in which the intent  
22 of drug use was not known. Of the abuse cases,

1 10 involved single-ingredient promethazine and 8  
2 involved promethazine-codeine cough syrup. There  
3 were 4 abuse cases, 1 misuse case, and 3 cases with  
4 unknown intent where a promethazine-containing  
5 product alone was implicated. Case numbers were  
6 not large enough to generate reliable national  
7 estimates for these case types.

8 Our analysis of the drug-involved mortality  
9 link database found that from 2010 to 2015, there  
10 were 1696 deaths in the U.S. in persons age 12  
11 years or older involving promethazine. In only 24  
12 of these, or 1.4 percent, was promethazine the only  
13 drug mentioned. Approximately 35 percent of  
14 promethazine involved deaths were specifically  
15 flagged as involving misuse or abuse.

16 Multiple published studies have described  
17 abuse of promethazine-codeine cough syrup known by  
18 names such as "purple drank" and "lean." Abuse of  
19 cocktails containing promethazine-coating cough  
20 syrup was popularized in the 1990s by a number of  
21 rap artists primarily in the Houston, Texas area.  
22 Small surveys suggests that this practice is quite

1 common in some specific population subgroups and  
2 regions, but much less so in others.

3 In an interview-based study of injection  
4 heroin users in Vietnam, 75 percent of participants  
5 reported promethazine use in conjunction with  
6 heroin injection and described using promethazine  
7 to augment a suboptimal heroin dose or predosing in  
8 anticipation of impending withdrawal. Most stated  
9 that they disliked the actual effects of  
10 promethazine, including occasional hallucinations.

11 In a separate study of patients in methadone  
12 maintenance treatment in San Francisco, 26 percent  
13 of patients had urine samples that tested positive  
14 for promethazine. Only 15 percent of those with  
15 promethazine detected in their urine had an active  
16 prescription for promethazine. The study authors  
17 also noted anecdotal reports from their own  
18 clinical practice of promethazine use by methadone  
19 maintenance patients to potentiate the high from  
20 methadone.

21 Finally, we conducted an informal search of  
22 internet drug abuse discussion forum posts to

1 gather anecdotal information on how some people who  
2 abuse opioids talk about concomitant use of  
3 promethazine. This was an exploratory and purely  
4 qualitative exercise. What we found was that  
5 opinions appear to vary regarding whether  
6 promethazine enhances the experience of abusing  
7 opioids. Some individuals emphatically stated that  
8 promethazine enhanced their euphoria when abused  
9 with opioids; in this post, the individual noting  
10 use of promethazine as an opioid-sparing strategy.  
11 Some noted other desirable effects of promethazine,  
12 including sedation and relief of nausea and  
13 itching, whereas still others stated that  
14 promethazine sedative effects were undesirable when  
15 abusing opioids to achieve a high.

16 All postmarketing data have limitations, and  
17 I'll just mention some key limitations of each of  
18 the data sources that we used. First, the national  
19 survey data are limited by potential  
20 misclassification or misidentification of products  
21 and by potential non-response bias. Poison control  
22 center call data only capture misuse and abuse

1 events if the exposure resulted in a call to a  
2 poison control center. The fraction of events  
3 captured is unknown and may vary across time or  
4 products, and unattended out-of-hospital deaths are  
5 unlikely to be captured. Although the system is  
6 constructed to capture information on specific drug  
7 products, products can still be misidentified.

8 Emergency department visit surveillance data  
9 only include cases that result in a visit to an  
10 emergency department and do not result in death  
11 before or during evaluation. The quality of the  
12 data depends on the completeness and accuracy of  
13 medical record documentation.

14 The drug involved mortality data rely on  
15 death certifier mentions of drugs on the death  
16 certificate. The likelihood of investigating and  
17 reporting specific drug involvement varies across  
18 jurisdictions and over time, and misuse and abuse  
19 may not be explicitly mentioned even when they  
20 occurred, so these data likely represent an  
21 underestimate.

22 As in the national surveys, data collected

1 from people entering or being assessed for  
2 treatment or other enriched samples also have  
3 potential for misidentification of drug products,  
4 and these data come from non-representative  
5 convenient samples, so generalizability is limited.  
6 Internet drug abuse discussion forum postings have  
7 many limitations. Our search was not systematic.  
8 Again, misidentification of drug products is  
9 possible. There is no way to verify information  
10 and rumors or hearsay are sometimes reported.

11 In summary, as is true for all opioids, the  
12 overall risk-benefit balance of Hydexor includes  
13 potential harms associated with misuse and abuse.  
14 Hydrocodone is widely misused and abused often in  
15 combination with other drugs, resulting in  
16 thousands of calls to poison centers, emergency  
17 department visits, and deaths each year. However,  
18 relative to their very large prescription volume,  
19 hydrocodone combination products appear less likely  
20 to be abused than most other opioid products.

21 Hydrocodone combination product abuse is  
22 predominantly oral, however, in some populations,

1 particularly those with more advanced substance use  
2 disorders, intranasal abuse is fairly common  
3 although not generally the preferred or the  
4 exclusive route. Based on the available data,  
5 injection abuse of hydrocodone combination products  
6 is quite infrequent.

7           The postmarketing data indicate that  
8 promethazine is also misused and abused to a lesser  
9 extent than hydrocodone and usually in combination  
10 with opioids or other drugs. Poison center and  
11 emergency department visit data as well as the  
12 published literature indicate that abuse and misuse  
13 occur with both single-ingredient and combination  
14 promethazine products, including promethazine  
15 codeine cough syrups.

16           Anecdotal data suggests that some  
17 individuals who abuse opioids believe promethazine  
18 enhances the opioid abuse experience through  
19 euphoric, sedative or other antiemetic or  
20 antihistamine effects, whereas others do not find  
21 promethazine's effects desirable when abusing  
22 opioids. Thank you.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**FDA Presentation - Ellen Fields**

DR. FIELDS: Good morning. My name is Ellen Fields. I'm the deputy director in the review division. I'm just going to provide a summary of the FDA presentations and try to put them into context for the questions you'll be asked to discuss later.

You've heard a number of presentations from both the agency and the applicant, and there's been a lot of overlap, and there are most areas where we actually agree with the sponsor, so I'll try to point those out.

All the data taken together are intended to inform the discussion about not only are there benefits and risks in the intended patient population, but also the risk-benefit balance that considers the broader public health implications surrounding the misuse and abuse of opioids and their associated consequences.

Dr. Wong presented information on drug utilization, and we learned that hydrocodone-acetaminophen combinations are the most

1 frequently prescribed outpatient opioid analgesic  
2 in the country. Outpatient utilization for  
3 hydrocodone-acetaminophen combinations as well as  
4 single-ingredient promethazine products have  
5 decreased.

6 Based on claims data, concurrent  
7 prescriptions for hydrocodone-acetaminophen  
8 combinations and single-ingredient promethazine has  
9 decreased. Based on data from office visits, no  
10 mentions of concomitant prescribing for  
11 hydrocodone-acetaminophen and promethazine by the  
12 same prescriber were noted. We also know that  
13 ondansetron was the drug most frequently mentioned  
14 for the treatment of nausea and vomiting in the  
15 outpatient setting, and that was followed by  
16 promethazine.

17 Dr. Jiang presented both the efficacy and  
18 the safety, and we're in general agreement with the  
19 applicant regarding all these findings. Hydexor  
20 was evaluated in two phase 3 studies in patients  
21 prone to or anticipated to experience nausea and  
22 vomiting with opioid administration. Replicated

1 data demonstrated analgesic effectiveness of  
2 Hydexor and efficacy compared to  
3 hydrocodone-acetaminophen for the prevention of  
4 opioid-induced nausea and vomiting. As Dr. Jiang  
5 said, we believe the indication should specify just  
6 the prevention of OINV in patients prone to nausea  
7 and vomiting and not reduction.

8 Again, we agree with the safety findings  
9 from the applicant. We noted typical opioid  
10 related adverse reactions from Hydexor and an  
11 increase in CNS related adverse events compared to  
12 Norco that were most likely related to the  
13 promethazine, and these were predominantly  
14 drowsiness and lightheadedness and did not result  
15 in any serious consequences.

16 Again, regarding the human abuse potential  
17 study, we generally agree with the findings from  
18 the applicant. Just to reiterate, because  
19 promethazine is included in Hydexor and it has a  
20 number of CNS effects on its own, we requested that  
21 the applicant conduct a human abuse potential study  
22 to evaluate the abuse potential of adding the

1 promethazine to the combination as compared to  
2 hydrocodone-acetaminophen alone.

3           The human abuse potential study conducted  
4 with Hydexor showed there were no statistically  
5 significant differences in positive or negative  
6 subjective responses when Hydexor was compared to  
7 hydrocodone-acetaminophen using doses of  
8 hydrocodone that were equivalent to 3 and 5 times  
9 the recommended 7.5-milligram therapeutic dose of  
10 Hydexor. Hydexor did produce a statistically  
11 significant increase in drowsiness compared to  
12 hydrocodone APAP suggesting that promethazine  
13 produces an additional degree of sedation.  
14 However, this effect did not appear to influence  
15 the abuse potential of Hydexor.

16           The data from this study support the  
17 conclusion that there are no differences in the  
18 abuse potential of Hydexor compared to hydrocodone-  
19 acetaminophen, and thus the presence of  
20 promethazine in Hydexor does not alter the abuse  
21 potential of the drug product as measured in this  
22 controlled study environment. And as with other

1 hydrocodone-acetaminophen products, Hydexor will be  
2 placed under Schedule II of the Controlled  
3 Substance Act if it is approved.

4           As you just heard from Dr. McAninch, we need  
5 to look at potential harms associated with misuse  
6 and abuse when evaluating the overall risk-benefit  
7 balance of opioids. Hydrocodone is widely misused  
8 and abused often in combination with other drugs,  
9 resulting in thousands of poison center calls,  
10 emergency department visits, and deaths each year.  
11 However, relative to the very large prescription  
12 volume, hydrocodone combination products appear to  
13 be less likely to be abused than most other opioid  
14 analgesics.

15           Hydrocodone combination products' routes of  
16 abuse are predominantly oral, and populations with  
17 more advanced substance abuse disorders, intranasal  
18 abuse is very common but not necessarily the  
19 preferred or exclusive route, and injection of  
20 these products are infrequent.

21           Misuse and abuse of promethazine and opioids  
22 occur together, and anecdotal evidence suggests

1 that some but not all individuals who abuse opioids  
2 believe promethazine enhances the desirable  
3 euphoric effects of opioids as well as sedative  
4 effects. However, the available epidemiologic data  
5 are not informative as to whether Hydexor is more  
6 likely to be abused or misused than currently  
7 marketed hydrocodone-acetaminophen combination  
8 products or whether promethazine CNS depressant  
9 effects add meaningfully to the risk of overdose  
10 and death associated with opioids when misused or  
11 abused concomitantly.

12 In conclusion, Hydexor appears to be safe  
13 and effective in the proposed patient population  
14 with some increases in CNS effects compared to  
15 hydrocodone-acetaminophen alone. Hydexor is not  
16 intended to be an abuse-deterrent formulation, and  
17 that's the same as all of the other marketed and  
18 approved hydrocodone-acetaminophen combination  
19 products that are on the market.

20 The human abuse potential study demonstrated  
21 no difference in abuse potential compared to  
22 hydrocodone-acetaminophen alone in that control

1 study, and epidemiology data and postmarketing  
2 anecdotal information showed there may be some use  
3 of promethazine with opioids to enhance their  
4 effect when abused, and these were mostly for cough  
5 products.

6 If approved, Hydexor will be indicated for  
7 use in patients prone to OINV and will be under  
8 Schedule II of the Controlled Substance Act and  
9 will be subject to the classwide opioid REMS.  
10 We'll be asking the committee to consider all these  
11 data as you discuss the overall risk-benefit of  
12 Hydexor as it relates both to the intended patient  
13 population as well as the broader public health  
14 impact.

15 I want to thank Dr. Lloyd who helped me put  
16 these slides together but could not be here today,  
17 and that's it. Thank you.

18 **Clarifying Questions**

19 DR. McCANN: Thank you.

20 Are there any clarifying questions for the  
21 FDA or FDA speakers? Please remember to state your  
22 name for the record before you speak. If you can,

1 please direct questions to a specific presenter.

2 Dr. Meisel?

3 DR. MEISEL: Thank you. A question for  
4 Dr. Wong. The data on the utilization of the  
5 combination products, do you have that broken down  
6 at all by dose, 5 milligram, 10 milligram, 7 and a  
7 half milligram? Is that available at all?

8 LCDR WONG: Hi. Thank you for the question.  
9 For our presentations, we did not. We just did  
10 analysis. But we are not aware of any evidence  
11 that shows that the 7.5-milligram strength is the  
12 most frequently dispensed of hydrocodone APAP  
13 products. We're wondering if the sponsor can  
14 provide that data to show that.

15 DR. MEISEL: So there are no prescription  
16 data that differentiates that? You're not able to  
17 pull that?

18 LCDR WONG: Yes.

19 DR. McCANN: Dr. Litman?

20 DR. LITMAN: Thank you. I have a couple of  
21 questions for the sponsor, please. If you could  
22 bring up slide CS-6.

1 DR. McCANN: It's just for the FDA right  
2 now. We're going to get to the questions --

3 DR. LITMAN: Oh, I'm sorry.

4 DR. McCANN: -- later.

5 DR. LITMAN: Sorry about that.

6 DR. McCANN: So we'll go on to  
7 Dr. Raghunathan.

8 DR. RAGHUNATHAN: Thank you. In the  
9 sponsor's provided data, did FDA look at the  
10 difference in the use, amount used, in the two  
11 treatment groups? Is that an issue of more  
12 compliance in the Hydexor group compared to the  
13 Norco group? Do you have the data on looking at  
14 the use in this particular study population?

15 DR. HERTZ: I'm not completely clear what  
16 you mean by compliance.

17 DR. RAGHUNATHAN: So amount of drug used,  
18 did they use all the dispensed drugs in the two  
19 groups? Do you have the data on that?

20 DR. HERTZ: The two efficacy studies were  
21 inpatient studies, and I'm not sure if we have  
22 that --

1 DR. TRAVIS: We took a look and we didn't  
2 see any -- James Travis, statistician. We didn't  
3 see any differences in the level of use between  
4 Hydexor and the Norco treatment groups in the  
5 clinical studies. They used about the same number  
6 of tablets over the 24 or 48 hours, that they used  
7 as the primary efficacy period.

8 DR. McCANN: Dr. Michna?

9 DR. MICHNA: I was just wondering why FDA  
10 did not require human abuse liability studies in  
11 the clinical dosing. The reason why I ask is this  
12 whole controversy of people exposed to short-term  
13 opioids then evolving into a misuse and abuse  
14 scenario. Maybe at a lower dose of opioid versus  
15 promethazine there might be a difference, and I was  
16 just wondering why that wasn't required.

17 DR. HERTZ: In these studies, it's necessary  
18 to give enough product for people to have the  
19 euphoric effects, desirable CNS effects, and  
20 7.5 milligrams of hydrocodone is not especially  
21 reinforcing. I'm going to ask Kit Bonson from the  
22 controlled substances staff to comment as well.

1 DR. BONSON: Good morning. I'm Kit Bonson.  
2 I'm on the controlled substances staff. The reason  
3 that we don't do those assessments in the patient  
4 populations is because the measures have not been  
5 validated in a patient population. So we always  
6 use people who have experience with these drugs,  
7 whatever class of drugs we're assessing, in an  
8 abuse context, and presumably, patients do not  
9 generally have that.

10 DR. MICHNA: I understand that, but the  
11 question is with promethazine, maybe that would be  
12 different. We're not just looking at a regular  
13 opioid study. We're looking at a psychoactive,  
14 euphorigenic-dysphoric combination of drugs, and  
15 that's the reason why -- it's not your typical  
16 opioid study.

17 DR. HERTZ: Right, but at the higher doses,  
18 we couldn't meaningfully differentiate the effects.  
19 So you're suggesting that at the lower doses there  
20 might have been some sensitivity, but if people  
21 aren't even getting the positive psychoactive  
22 effects at all at the lower doses, then it's going

1 to be hard to distinguish an effect of  
2 promethazine.

3 DR. MICHNA: Right. But this is a surrogate  
4 for patients, right? And I understand the  
5 complexities. My issue is that I'm worried about  
6 the evolution of patients; is this more  
7 euphorigenic in the clinical dose to a patient?  
8 And I'm not sure -- I understand the limitations,  
9 but I'd like to have seen some reasoning why that  
10 would not be something to worry about.

11 DR. HERTZ: I'm going to borrow Dr. Comer  
12 from the sponsor or at least ask if she has any  
13 insight on this.

14 DR. COMER: I understand your question and I  
15 understand your concern, but I completely agree  
16 with Dr. Hertz, actually, because the most  
17 appropriate population to test are the recreational  
18 opioid abusers, so they've had a lot of experience  
19 with taking opioids and getting high from them.  
20 And they typically use much higher doses on the  
21 street than what are prescribed.

22 So testing a dose of 7 and a half milligrams

1 in someone who is abusing opioids will likely  
2 result in very small euphoria related effects like  
3 liking or getting high. So it would be hard to  
4 separate out anything because you're in a floor  
5 effect.

6 Does that answer your question?

7 (Dr. Michna nods yes.)

8 DR. COMER: Okay.

9 DR. McCANN: Dr. Morrato will be the last  
10 question for the session.

11 DR. MORRATO: I just wanted to have a  
12 follow-up clarification for Dr. Raghunathan. I may  
13 not be pronouncing it correctly. I think the  
14 question around adherence or compliance, one of the  
15 presumed benefits of having a blister pack and so  
16 forth is that ultimately patients need self-limit  
17 and take less pills. So is there any evidence from  
18 the clinical trials program that that in fact is  
19 what happened? That made me also think, the dosing  
20 in the clinical trials, were blister packs being  
21 used or just regular pill administration?

22 So we really don't have the evidence. And

1       there may be a difference between study 002/003,  
2       which are the safety/efficacy, and then 006, which  
3       is the actual-use study in osteoarthritis, which  
4       went out for 2 weeks.

5               DR. HERTZ: I don't think the blister packs  
6       were used in any of the clinical trials. And in  
7       terms of accounting for the amount of drug return,  
8       we saw that in the applicant's slides, that showed  
9       there was very little drug unaccounted for during  
10      the clinical trials.

11             DR. MORRATO: Unaccounted for could be I  
12      took 2 pills and I returned 8, and it's all  
13      accounted for versus I took 8 pills and returned 2.  
14      I can't remember what you presented, but I think it  
15      was like you accounted for the pills, or was it  
16      really looking at how many pills did they have to  
17      take over that period of time? Maybe it's a  
18      sponsor clarification.

19             DR. SMITH: Right. Thank you. Tom Smith  
20      with Charleston. First was the idea of drug  
21      accountability, and as you saw, there were a lot of  
22      pills given to the patients and we had a very high

1 return rate. Again, from the experience that  
2 Dr. Comer mentioned as well, the experience with  
3 other Schedule II opioids, this was actually a very  
4 high return rate, so that provided a level of  
5 confidence.

6 With regard to was there a difference in the  
7 number of pills taken per day, the 006 study, it  
8 was roughly 2.6 on average, is what patients took  
9 for those 14 days, and then in the 002 and 003,  
10 somewhere around 3 and a half once they were an  
11 outpatient, and that was similar to what they took  
12 with Norco for pain.

13 DR. MORRATO: So there was no differential  
14 difference in returning of the number of pills or  
15 doses between the two arms. Is that correct?

16 DR. SMITH: That's correct.

17 DR. McCANN: So we're now going to take a  
18 10-minute break. Panel members, remember there  
19 should be no discussion of the meeting topic during  
20 the break among yourselves or with any member of  
21 the audience. We will return at 10:20. Thank you.

22 (Whereupon, at 10:10 a.m., a recess was

1 taken.)

2 DR. McCANN: Before we get started with the  
3 open public hearing, Dr. Staff would like to give  
4 some clarifying information about the epidemiology  
5 of promethazine.

6 DR. STAFFA: This is Judy Staffa from OSE.  
7 With regard to Dr. Michna's question about the  
8 prescription volume for hydrocodone combination  
9 products and what percentage are the 7 and a half  
10 milligram as opposed to the 5 milligram, we ran  
11 some very preliminary data, and basically about  
12 half the prescriptions for hydrocodone combination  
13 products are the 5 milligram and about 20 percent  
14 are the 7 and a half, just to clarify that.

15 DR. MICHNA: [Inaudible - off mic].

16 DR. STAFFA: There's also a 10 milligram and  
17 a 2 and a half milligram.

18 **Open Public Hearing**

19 DR. McCANN: Thank you. This is the  
20 beginning of the open public hearing.

21 Both the Food and Drug Administration and  
22 the public believe in a transparent process for

1 information-gathering and decision-making. To  
2 ensure such transparency at the open public hearing  
3 session of the advisory committee meeting, FDA  
4 believes that it is important to understand the  
5 context of an individual's presentation. For this  
6 reason, FDA encourages you, the open public hearing  
7 speaker, at the beginning of your written or oral  
8 statement to advise the committee of any financial  
9 relationships that you may have with the sponsor,  
10 its product, and if known, its direct competitors.  
11 For example, this financial information may include  
12 the sponsor's payment for your travel lodging or  
13 other expenses in connection with your attendance  
14 to the meeting.

15           Likewise, FDA encourages you at the  
16 beginning of your statement to advise the committee  
17 if you do not have any such financial  
18 relationships. If you choose not to address this  
19 issue of financial relationships at the beginning  
20 of your statement, it will not preclude you from  
21 speaking.

22           The FDA and this committee place great

1 importance on the open public hearing process. The  
2 insights and comments provided can help the agency  
3 and its committee in their consideration of the  
4 issues before them. That said, in many instances  
5 and for many topics, there are a variety of  
6 opinions. One of our goals today is for the open  
7 public hearing to be conducted in a fair and open  
8 way -- and respect, therefore please speak only  
9 when recognized by the chairperson. Thank you for  
10 your cooperation.

11 Will speaker number 1 step up to the podium  
12 and introduce yourself? Please state your name and  
13 any organization that you are representing for the  
14 record.

15 DR. FOX-RAWLINGS: Thank you for the  
16 opportunity to speak today on behalf of the  
17 National Center for Health Research. I am  
18 Dr. Stephanie Fox-Rawlings. Our center analyzes  
19 scientific and medical data to provide objective  
20 health information to patients, health providers,  
21 and policymakers. We do not accept funding from  
22 drug or medical device companies, so I have no

1 conflicts of interest. I think we all agree that  
2 opioids need to be held to a high standard of  
3 approval because of their risks as well as high  
4 rate of misuse and abuse. Please consider these  
5 questions as you evaluate Hydexor.

6           Should the FDA approve additional  
7 conventional opioids? Commissioner Gottlieb has  
8 stated that the FDA's response to the opioid crisis  
9 includes shifting the opioid market from one  
10 dominated by conventional opioids to one dominated  
11 by opioids with meaningful abuse-deterrent  
12 properties. Since Hydexor does not have these  
13 abuse-deterrent properties, it would not fit this  
14 goal.

15           Should the FDA approve drugs that combine an  
16 opioid that causes unpleasant side effects with a  
17 drug to counter these same side effects? The  
18 novelty of Hydexor is that it combines hydrocodone  
19 with a drug to prevent nausea and vomiting caused  
20 by hydrocodone. This can encourage patients to  
21 take the drug and continue relying on it.

22           Commissioner Gottlieb recently warned the

1 public about the abuse of loparamide, a drug that  
2 prevents unpleasant side effects of opioid  
3 withdrawal. Since Hydexor contains a drug  
4 component that prevents unpleasant side effects, it  
5 too has a high potential for abuse. Does it make  
6 sense to approve Hydexor given this added potential  
7 for abuse?

8           What does the human potential study and the  
9 postmarketing data tell us about how this drug  
10 would be used? The human abuse potential study  
11 only looked at oral administration. It did not  
12 test the abuse potential of other routes such as  
13 snorting or IV. In addition, it is not clear if  
14 there would be a difference in preference for users  
15 who experience nausea and vomiting.

16           Hydexor uses a version of promethazine with  
17 faster bioavailability, which could make it more  
18 likely to be abused. But the bottom line is there  
19 are reasons to be very concerned about the abuse of  
20 this drug, and the studies don't give us enough  
21 information to counter-balance these concerns.

22           It is also difficult to predict how a

1 Hydexor would be used in a clinical study. Not all  
2 patients need an anti-nausea drug when they take  
3 hydrocodone, however, if heavily promoted, and we  
4 know that it's likely, Hydexor could be prescribed  
5 by doctors to help patients to avoid nausea they  
6 wouldn't necessarily have experienced. This would  
7 expose many patients unnecessarily to adverse  
8 events resulting in the addition of this drug.

9 Is the drug safe for older adults? The  
10 safety data indicate that patients taking Hydexor  
11 were more likely to faint and/or fall, however,  
12 only a small number of patients 65 and older were  
13 included in the clinical trials. Older persons are  
14 especially susceptible to drugs that call sedation  
15 or drops in blood pressure, and this drug has two  
16 active ingredients that can potentially cause  
17 dangerous cumulative effects. These drugs were not  
18 studied enough in patients over 65 to determine how  
19 risky it might be for them.

20 Does the sponsor's proposed mechanisms for  
21 reducing risk for misuse adequately address the  
22 potential? The sponsor proposed 3, 5, and 7-day

1 packaging to reduce prescribing large amounts.  
2 However, these packages are based on 6 pills a day  
3 while patients took an average of 2 to 3 pills a  
4 day during the as-needed portion of the trials. If  
5 the clinician expected a patient to use 15 pills  
6 over the course of the 5 days and prescribed a  
7 5-day pack, the patient would still have twice as  
8 many pills as they were expected to take. This  
9 could encourage patients to take more pills.

10 The proposed return program could be a great  
11 option to remove unneeded opioid pills, however, we  
12 do not have enough data on how well it would work  
13 or whether patients and caregivers would use the  
14 program, therefore we cannot count on it. As you  
15 know, many patients keep old prescriptions,  
16 especially pain pills, just in case, and this  
17 contributes to misuse and abuse by patients and  
18 family members.

19 In conclusion, consider how this drug fits  
20 into the context of the drug market and how it is  
21 likely to be used and misused. Do the benefits  
22 outweigh the risks of putting another conventional

1       opioid on the market when designed to treat its own  
2       side effects and are the attempts to reduce excess  
3       pills sufficient?

4                Opioids provide a benefit and harm towards  
5       society. As advisory members, please advise the  
6       FDA to carefully and cautiously consider the  
7       potential for abuse for this opioid. Thank you for  
8       your time and consideration of our views.

9                DR. McCANN: Thank you. Will speaker  
10       number 2 please step up to the podium and introduce  
11       yourself? Please state your name and any  
12       organization that you are representing for the  
13       record.

14               DR. LORENC: Thank you. Good morning. Can  
15       I have 7 minutes? Because I was allotted  
16       7 minutes, please, on the timer. Thank you so  
17       much.

18                Good morning. My name is Paul Lorenc. I'm  
19       a board certified esthetic plastic surgeon  
20       practicing in New York City, practicing at Lenox  
21       Hill Hospital as well as in a fully accredited  
22       office, operating in my office. I'm representing

1 my practice and most importantly my patients. I  
2 have over 20 years experience in a very busy  
3 clinical practice. I'm very active academically  
4 with multiple publishings, publications, and book  
5 chapters. I also take part in clinical research  
6 and have been involved in well over a dozen of  
7 phase 3 clinical trials mostly dealing with  
8 botulinum toxin type A and also medical devices.

9 I'd like to thank you for allowing me to  
10 share with you my clinical perspective on the  
11 importance of opioid-induced nausea and vomiting in  
12 my patients. Why is it important especially in  
13 plastic surgery patients? Because in 35 percent of  
14 my patients, postoperative nausea and vomiting is  
15 prevalent and it has serious consequences. This is  
16 in line with what's out in the literature as far as  
17 OINV, which is rated at 40 percent.

18 Nausea and vomiting is consistently reported  
19 in my patients as one of the most important aspects  
20 of the overall procedure. Marcus [ph] reported in  
21 PRS, one of our main journals, that 73 percent of  
22 unscheduled readmissions to the hospital, in our

1 patient population, are secondary to nausea and  
2 vomiting. These are patients who have already  
3 recovered in the recovery room. They have been  
4 discharged from the hospital or from ambulatory  
5 surgery centers like mine, so these are patients  
6 who are free of nausea, they are taking PO, and  
7 well hydrated. But 73 percent of them are  
8 readmitted because of nausea and vomiting.

9 This obviously has severe consequences not  
10 only returning to the OR, another anesthetic  
11 exposure, but certainly the costs associated with  
12 it. And probably just as important, patient  
13 satisfaction because, as you know, in plastic  
14 surgery, patient satisfaction, how it's scored and  
15 perceived by the patient, is critical basically in  
16 our existence.

17 Also, there's an estimate by Marcus that an  
18 ambulatory surgery unit can lose up to \$2 million  
19 in income per year because of the possible  
20 readmission and dealing with the postoperative  
21 nausea and vomiting. So as I mentioned,  
22 specifically in our patient population, it's

1 critical. And it's mostly critical in patients  
2 where wide undermining of the tissue is done, such  
3 as patients who undergo facelifts, abdominoplasty,  
4 or breast augmentation, because if that patient  
5 develops nausea and vomiting and is wretching,  
6 blood pressure goes up and there's a significant  
7 increase in hematoma, which might necessitate again  
8 and return to the operating room.

9           So why is it so important specifically in  
10 plastic surgery? It's simple, because my patients  
11 are those patients at high risk. Apfel, as you  
12 mentioned before, came up with a scoring system, a  
13 4-point scoring system, of who is more prone to  
14 postoperative nausea and vomiting or opioid-induced  
15 nausea and vomiting? These are my patients.  
16 Ninety-one percent of my patients are women, and  
17 these women are 3 times more likely to develop  
18 opioid-induced nausea and vomiting. One of the  
19 other factors is non-smoking. I don't operate on  
20 smokers. This is elective surgery, so again,  
21 another factor in my patient population.

22           Lastly, opioid use. I routinely prescribe

1 hydrocodone 7.5 milligrams to my patients for  
2 postoperative pain control. So if you score these  
3 patients, according to Apfel, there's a 61 percent  
4 chance of postoperative nausea and vomiting in my  
5 patient population. If you include on top of that  
6 a surgical predictor, which is the length of time  
7 in the operating room, which seems to be triggered  
8 at 90 minutes, that's 100 percent of my patients  
9 basically. So it's very important in my patient  
10 population to be careful and to really be concerned  
11 about post-opioid use and nausea and vomiting.

12 I'd like to briefly share with you a patient  
13 who underwent in my office -- a 52-year-old patient  
14 who underwent a facelift and a blepharoplasty,  
15 uneventful, and was discharged from my office 8  
16 hours afterwards after taking 7.5 milligram  
17 hydrocodone with acetaminophen. She incurred  
18 intractable nausea and vomiting, which necessitated  
19 readmission. She was treated of course with  
20 Compazine without any effect.

21 I had to admit that patient to Lenox Hill  
22 Hospital for IV hydration and blood pressure

1 control because typically my patient would have  
2 nausea and vomiting. They get anxious; blood  
3 pressure goes up. There's a higher incidence of  
4 hematoma formation in patients who are  
5 hypertensive. So this patient ended up being  
6 admitted to Lenox Hill Hospital for hydration,  
7 electrolyte imbalance correction, and observation  
8 to make sure that she did not develop hematoma.  
9 And there's a factor that's always in the  
10 background, which is the cost that's incurred not  
11 only by the patient but by the hospital and  
12 possibly even by their healthcare provider.

13           So as far as OINV in plastic surgery, it has  
14 huge consequences. As I've mentioned to you  
15 before, if a patient develops a hematoma after  
16 their blood pressure rises when they are wretching,  
17 because of this specific type of surgery that we do  
18 as plastic surgeons, it can have tremendous  
19 consequences. And the severe consequences include  
20 hematoma evacuation, which again necessitates a  
21 return trip to the OR, and just importantly another  
22 exposure to an anesthetic, which can again cause

1 more postoperative nausea and vomiting. It can  
2 cause wound dehiscence, aspiration, and respiratory  
3 compromise.

4 Lastly, specifically in plastic surgery  
5 patients, patient satisfaction is the most  
6 important factor that patients look at as far as  
7 their overall experience.

8 So is there a need for a combination therapy  
9 such as this, hydrocodone, acetaminophen, and  
10 promethazine? In my opinion there is because you  
11 have shown -- I have seen this morning -- that  
12 there's decreased incidence of opioid-induced  
13 nausea and vomiting, therefore their recovery will  
14 be quicker, and avoidance of possible return to the  
15 operating room, and hematoma evacuation is more  
16 predictable in that way.

17 So I think overall it's a very important  
18 aspect to consider to benefit my patients. And  
19 lastly, I just want to thank you for allowing me to  
20 share my clinical perspective of the importance of  
21 opioid-induced nausea and vomiting, especially how  
22 it relates to a surgical practice such as mine.

1 Thank you.

2 DR. McCANN: Thank you. Would speaker  
3 number 3 step up to the podium and introduce  
4 yourself? Please state your name and any  
5 organization you are representing for the record.

6 MS. MALLICK-SEARLE: Good morning. My name  
7 is Theresa Mallick-Searle. I'm a nurse  
8 practitioner. I've been doing acute and chronic  
9 pain management for over 10 years. I work at  
10 Stanford University Medical Center, Division of  
11 Pain Medicine. I was asked to be part of this  
12 program today by Charleston Laboratories. I was  
13 paid to come and was put up at the lovely Hilton  
14 overnight last night, but I have no financial  
15 interest in the outcomes of this study.

16 I would like to start by sharing a brief  
17 story that will hopefully educate you as much as it  
18 educated me, something that personally happened to  
19 me. About three years ago, I was attending a  
20 meeting in Philadelphia not unlike this, and I was  
21 unexpectedly hospitalized. During that  
22 hospitalization, I was offered opiates for

1 analgesic relief of pain, and unexpectedly and  
2 unfortunately I developed nausea and vomiting  
3 myself. This was associated with under management  
4 of my pain for the most part because I chose not to  
5 use the opiate provided for me because of the  
6 alternative of the unpleasantness of the vomiting  
7 and nausea.

8           You've heard a lot today by many speakers  
9 about the incidence and occurrence of opiate-  
10 induced nausea and vomiting. I'm here to share my  
11 personal and clinical experience with you. As a  
12 nurse practitioner in the specialty of pain  
13 management, I have a familiarity with opiate-  
14 induced nausea and vomiting, but it wasn't until I  
15 experienced it that I really perceived and felt the  
16 unpleasantness and the suffering that goes along  
17 with opiate-induced nausea and vomiting to the  
18 point of choosing not to use the opiates that I  
19 needed for my analgesic pain relief because of the  
20 alternative, and then unfortunately a delayed  
21 recovery and a 7-day hospitalization.

22           I took it upon myself after this experience

1 to go back to my institution and query my staff and  
2 my colleagues about their understanding of opiate-  
3 induced nausea and vomiting and their understanding  
4 of the patient suffering associated with it, and it  
5 wasn't much better than mine, unfortunately.

6 So last year, I took it upon myself to think  
7 about how I could best go and educate my peers as  
8 well as colleagues nationally about this incidence,  
9 patient suffering, and what we need to do to  
10 improve. So I published a journal article, and it  
11 talked about the importance of the recognition, the  
12 management, and ultimately to decrease patient  
13 suffering. And what I found was pretty consistent  
14 in the review of the literature as to what you've  
15 heard today, that the incidence of opiate-induced  
16 nausea and vomiting with exposure to an opiate was  
17 about 40 percent nationally, and about 50 percent  
18 of those individuals had the unfortunate experience  
19 of vomiting as well.

20 We additionally found that patients were  
21 disinclined to report their incidence of opiate-  
22 induced nausea and vomiting to their healthcare

1 provider similar to the underreporting of opiate-  
2 induced constipation, those unpleasant side effects  
3 that the patients refuse to tell us about. We  
4 found that several studies also showed that  
5 patients were willing to endure more pain and less  
6 pain relief other than foregoing the pleasantness  
7 of opiate-induced nausea and vomiting. And  
8 finally, that patients that were experiencing  
9 opiate-induced nausea and vomiting had increased ED  
10 visits, surgical complications, and postoperative  
11 provider visits.

12 In closing, we're a profession of healthcare  
13 providers because we want to improve our patients'  
14 lives. We need a simple, predictable,  
15 evidence-based way to identify our patients that  
16 are at risk for opiate-induced nausea and vomiting  
17 and manage these side effects appropriately when  
18 the requirements of using a short-term opiate to  
19 treat acute pain aggressively is called for. Thank  
20 you for your time.

21 **Clarifying Questions (continued)**

22 DR. McCANN: The open hearing portion of

1 this meeting is now concluded, and we will no  
2 longer take comments from the audience. We now  
3 have some time for some clarifying questions to the  
4 sponsor, and we're going to start off with  
5 Dr. Higgins.

6 DR. HIGGINS: This is in follow-up to  
7 Dr. Morrato's question. I had the same question  
8 regarding the Hydexor return campaign. I have  
9 questions about whether the sponsor has made forays  
10 with state level departments of public health. For  
11 example, in Massachusetts, we are now discovering  
12 there's a problem with the CVS kiosks that are  
13 proposed to be used for returning medications. We  
14 have medication assistance programs that are  
15 unwilling to accept that as a possibility.

16 So there are some difficulties at the state  
17 level in making these things happen. I'm just  
18 wondering -- I did hear that there is some  
19 conversation with DEA, and I'm just curious if  
20 there's any forays with state departments of public  
21 health.

22 DR. SMITH: We have not met with the various

1 state departments at this point. But yes, we  
2 realize those are conversations that we will have  
3 to have. We have been seeking a lot of advice as  
4 to how does the patient return and where do they  
5 return product to. So again, we're exploring all  
6 of those at this time.

7 DR. McCANN: Dr. Litman?

8 DR. LITMAN: Thank you. I wanted to ask  
9 about, in your packet, slide CS-6. It's a  
10 comparison of all the side effects. And thank you  
11 for putting the placebo because I don't feel like  
12 I'm the only one who has all these every day.

13 One of the things I noticed -- and it's not  
14 in the slides, but it was in the packet -- is that  
15 in this particular study, in 002 and 003, even  
16 though you could take a maximum of 12 pills a day,  
17 the patient in these studies only took around 3 or  
18 4. What I would love to see is a comparison, the  
19 same slide there, but of those patients that  
20 actually took on the higher side. And I don't know  
21 if you have that data readily available, but it's  
22 got to be there somewhere, and I would advise the

1 FDA to look at those.

2 Each pill of Phenergan -- sorry,  
3 promethazine -- is 12 and a half milligrams, and  
4 that's potentially -- there's not going to be that  
5 many patients that take 150 milligrams a day, but I  
6 guess it's possible. Those are the real patients  
7 that I want to see this slide, not the average.

8 DR. SMITH: I appreciate your question.  
9 There's by the number of doses. And again, these  
10 were actively solicited, and I think, again, it  
11 speaks to the incidence with regard to -- this is  
12 any opioid related symptom, any of those 9 symptoms  
13 that we discussed. But again, they were fairly  
14 equal between the Hydexor and placebo groups across  
15 the number of doses.

16 DR. LITMAN: Thank you.

17 DR. McCANN: Dr. Porter?

18 DR. PORTER: Thank you. I'm referring to  
19 CS-22, the commitment to responsible use. It says  
20 in here that you are planning on a commercial  
21 audience of selected surgeons and acute pain  
22 specialists, but they are only about 10 percent of

1 the subscribers for opioids. Most of the  
2 subscribers, according to the FDA's graphic, is  
3 family practice, general practice, internal  
4 medicine, nurses, nurse practitioners, physician  
5 assistants, and dentists. And by leaving out that  
6 group, you're ignoring the people that are most  
7 likely to prescribe this drug.

8 So I just wanted to know why you decided  
9 that surgeons -- and it says selected surgeons and  
10 acute pain specialists -- especially if this is  
11 short acting because short actings are usually  
12 given not by necessarily pain specialists.

13 DR. SMITH: Right. Thank you, Dr. Porter.  
14 We really feel that the need for this product is in  
15 that select audience. We believe there are roughly  
16 1.7 million registrants with the DEA, and we  
17 believe we're looking at maybe 1 to 2 percent even  
18 at top-market penetration. So we're looking at  
19 15[000] to 20,000 prescribers, so we're looking at  
20 those select surgeons. We're looking at surgeons  
21 like the gentleman in the audience, plastic  
22 surgeons, maybe OB/GYN surgeons, patients who would

1 be having that acute pain, that limited acute pain  
2 that we're speaking about.

3 To your point, anyone who can prescribe a  
4 Schedule II could prescribe Hydexor. If we pick  
5 that up through our REMS and through our  
6 surveillance, we plan to try to educate them to  
7 make sure that they're using it in a safe and  
8 appropriate way. I think like any medication that  
9 a physician prescribes, they have to understand the  
10 benefits that it would provide versus the risk.  
11 And I think it also behooves them -- and I think  
12 most clinicians do -- to educate them about  
13 possible side effects.

14 DR. PORTER: My next question, who are you  
15 going to market this to or is this too early to  
16 talk about marketing? Commercials on -- just  
17 marketing. We'll leave it at that.

18 DR. SMITH: Again, we're very early in our  
19 planning. At peak -- I think, too, a nice way to  
20 think about this, Dr. Comer presented the IQVIA  
21 data. Do we have the IQVIA slide that shows  
22 trends? It was also provided by FDA. And

1 again -- I want to see that slide. We can show  
2 this one.

3 This is the slide that Dr. Comer presented,  
4 and FDA had the similar IQVIA data that shows that  
5 this is what's happened over the last six years.  
6 In 2017, roughly 180 million prescriptions were  
7 written for opioids in the U.S. Of that  
8 180 million, roughly 80 million were for  
9 hydrocodone APAP products. And I believe that the  
10 rescheduling, the upscheduling of hydrocodone in  
11 2014, helped further decrease that trend, so I  
12 applaud this change.

13 The thing that Dr. Comer spoke to is that  
14 the number of pills per prescription has actually  
15 gone up, so still what we're seeing in the  
16 marketplace are prescriptions of 60, 90, 100,  
17 120 tablets. Of these 80 million, we believe that  
18 the market, if you will, is probably 1 to 2 percent  
19 at peak sales, and we're displacing that 1 to  
20 2 percent. So hopefully we're contracting the  
21 market somewhat. It's a very small number. Also  
22 in our planning, we're thinking of somewhere in the

1 neighborhood of 75 to maybe 150 representative,  
2 tops, and that's kind of where we are in our plan.

3 DR. McCANN: Dr. Ruha?

4 DR. RUHA: Hi. Michelle Ruha. My question  
5 is for Dr. Schachtel about the trials. I'm  
6 concerned that the adverse effects that were  
7 reported in I think 002 and 003 and the various  
8 trials may not reflect what would be seen with  
9 real-world use. There's a lot of commonly  
10 prescribed medications that have antimuscarinic  
11 properties like antihistamines, antipsychotics,  
12 antidepressants, and muscle relaxers. There are so  
13 many antimuscarinic medications, and promethazine  
14 is antimuscarinic. Something I see in my practice  
15 is a lot of toxicity from the people being on  
16 multiple antimuscarinic medication.

17 My question is, in those studies 002 and  
18 003, exclusion criteria included use of any  
19 confounding and contraindicated products, and I  
20 don't think we were given exclusion criteria for  
21 the others at all. So I'm wondering were  
22 medications with antimuscarinic properties

1 considered confounding or contraindicated products  
2 for inclusion in the trials.

3 DR. SMITH: Dr. Schachtel?

4 DR. SCHACHTEL: Bernie Schachtel. Yes. I  
5 was going to say of course, but clearly we had to  
6 avoid any confounding because we wanted to focus  
7 deliberately only on the effects of the low-dose  
8 promethazine.

9 DR. SMITH: Maybe perhaps I can share a  
10 little more color on that. If I could have my core  
11 slide on 006. In study 006, the patient population  
12 wasn't addressed, and this study provided me, as a  
13 physician, with some extra confidence around an  
14 older patient population as well. The mean age was  
15 61.

16 Again, 179 patients were enrolled and  
17 97 percent of them completed the study. Here,  
18 other medications were allowed. They were taken  
19 off of -- so these were people who were not  
20 screened for being at risk of OINV. These were  
21 people who were opioid naive. These were people  
22 that their non-steroidals weren't sufficient in

1       treating their acute flares of OA. And the reason  
2       this study was performed was to get additional  
3       safety data.

4               This is the adverse event profile that we  
5       saw with, again, the agency pointed out drowsiness,  
6       lightheaded, dizziness, the second most common.  
7       And while it's rudimentary, we did take a look at  
8       hydrocodone APAP trials that have been done. We  
9       did a PubMed search and looked at 10 trials where  
10      there were 7 to 10-day studies. This AE profile is  
11      very similar to what's reported in those studies.  
12      And again, I understand that that's not an  
13      apples-to-apples comparison, but it gives me some  
14      increased confidence in those patients.

15              DR. McCANN: Dr. Choudhry?

16              DR. CHOUDHRY: I think this question is for  
17      either the sponsor or for the FDA. I'm interested  
18      in the dizziness and vital sign changes that were  
19      reported in these trials, 002, 003, and 006. In  
20      the FDA's briefing documents, they report drops in  
21      systolic pressures of about 9 millimeters of  
22      mercury for Hydexor as opposed to 6 for Norco and 2

1 for placebo. The relevance of those varies,  
2 obviously, based on context and where we are  
3 starting.

4 Do we have anything about absolute values,  
5 like what were mean pressures, for example, in this  
6 population?

7 DR. SMITH: I can start, and I welcome my  
8 colleagues from the FDA to step in. Do we have the  
9 chart that we made looking at hours?

10 Like the FDA, we were concerned about,  
11 again -- that's good. I'll project the slide for  
12 all of you. Like the FDA, we were concerned. We  
13 realize we're adding an alpha adrenergic to  
14 hydrocodone. Both labels, both the hydrocodone  
15 APAP labels as well as promethazine labels, under  
16 warnings and precautions have a severe hypotension  
17 warning, and Hydexor would carry that as well.

18 What you can see -- and again, this is  
19 consistent with the data that FDA provided in their  
20 briefing document as well. But what you can see is  
21 a higher percentage of patients in the Hydexor  
22 group versus the Norco group, which have reductions

1 in both systolic and diastolic, and they tend to  
2 start agreeing around 24 hours.

3 I should also report, I think, again, to  
4 look at this under context, this was expected, so  
5 again, we wanted to understand it as best we could.  
6 None of these patients went on to -- they all  
7 completed the studies. They did not have any dose  
8 reductions or discontinuations. None of these  
9 patients suffered syncope as a result of their  
10 blood pressure changes. And I had shown this  
11 slide -- actually I had not shown it in this four,  
12 but we'll go ahead and project it if we could.

13 In studies 002 and 003, there were three  
14 associated AEs reported as hypotension with  
15 Hydexor, 3 with Norco, one of which was rated as  
16 severe by the investigator, and then one with  
17 placebo. And again, all of these did not recur.  
18 Then in study 006, again, we had an older patient  
19 population. There were no AEs of hypotension that  
20 were reported.

21 DR. CHOUDHRY: That's helpful data. I'm  
22 just wondering if we have actually mean values.

1       What are the actuals?  You're giving us binary  
2       values for what is low blood pressure perhaps by  
3       conventional criteria, but I'm still curious about  
4       where the pressures are beginning and where do they  
5       end.

6               DR. SMITH:  We don't have it in a slide  
7       format.

8               DR. McCANN:  Dr. Zacharoff?

9               DR. ZACHAROFF:  Hi.  Kevin Zacharoff here,  
10       and I have a few clarifying questions.  First, for  
11       Dr. Smith, just to drill down a little bit more on  
12       what the meaning is of selected surgeons and acute  
13       pain specialists, based on your answer before, it  
14       seems to not be clear as to the selected surgeon  
15       that you'd be marketing to, has been clarified yet.  
16       Is that correct?

17               DR. SMITH:  We haven't drilled down as to  
18       who and where.  That is correct.

19               DR. ZACHAROFF:  Okay.

20               DR. SMITH:  But again, that could  
21       be -- there's a variety of surgeons.  There's the  
22       oral maxillofacial surgeons and so forth.  But it

1 would be those patients where obviously that  
2 benefit is needed to prevent some of the  
3 complications that we heard of.

4 DR. ZACHAROFF: Right. So then it might be  
5 more appropriate to refer to the surgeons who are  
6 operating on patients in a high-risk category like  
7 the plastic surgeon mentioned.

8 DR. SMITH: Correct.

9 DR. ZACHAROFF: Who is an acute pain  
10 specialist? I'm interested to know.

11 DR. SMITH: You know, there are people  
12 who -- and they are. To Dr. Porter's earlier  
13 question, there are people who are in primary  
14 care -- and that would be a small number -- who  
15 have a special interest in how do you effectively  
16 treat those people with acute pain. One of the  
17 nice things that we think, particularly around our  
18 packaging, is that something that hasn't been  
19 happening is that whole patient-physician dialogue.  
20 There are those physicians who want to know after 3  
21 days, and 5 days, and 7 days are you still having  
22 pain, and if not, is the pain due to something

1 else.

2 DR. ZACHAROFF: It seemed like in the  
3 majority of your studies, and certainly in  
4 reviewing the background briefing materials, that  
5 certainly the focus was on post-surgical pain  
6 patients. It seems to me that that patients who  
7 suffer acute pain versus post-surgical pain  
8 patients may or may not be the same in many cases.

9 Just so I'm clear, are we really talking  
10 about the patient that we should imagine in our  
11 minds as a patient who's a post-surgical patient  
12 and the surgeon is very concerned or the  
13 anesthesiologist is very concerned about  
14 experiencing OINV, or are we thinking about  
15 office-delivered care where patients are being  
16 treated for an acute pain situation that's likely  
17 to be limited for 14 days where OINV is a concern.  
18 Which is it?

19 DR. SMITH: I'm going to ask Dr. Gan to give  
20 it a little more color. Dr. Zacharoff, you're  
21 right. These are tried and true pain models -- the  
22 bunionectomy, the oral surgeons -- that have been

1 used now for a couple of decades, but we needed  
2 those to show both the efficacy of the pain as well  
3 as the OINV. But this type of acute pain and pain  
4 associated with OINV is not necessarily a surgical  
5 limitation. But again, Dr. Gan being a clinician,  
6 I'd appreciate his perspective.

7 DR. GAN: I'm an anesthesiologist. I'm not  
8 a primary care physician. So I'm going to tell you  
9 in my area how I'm going to use this drug  
10 potentially. Imagine a scenario where you treat a  
11 patient for the surgery and this patient throughout  
12 in the recovery room. And you treat the patient,  
13 and the patient got better, and if it's a same-day  
14 procedure, the patient is going to go home. The  
15 patient's in pain and he or she had nausea and  
16 vomiting. Now she's afraid to go home just having  
17 a pain medication. This is where I think this  
18 product, which has analgesic and antiemetic  
19 together, would be helpful in my scenario.

20 DR. ZACHAROFF: So it reinforces the  
21 definition of the post-surgical model for sure.  
22 And just one last question, and this refers to

1 slide CE-9 where we talked about OINV as co-primary  
2 endpoint, and I believe it was Dr. Schachtel.

3 My question is, what was used as the rescue  
4 antiemetic in the cases where it was necessary?  
5 What was the agent used? And secondly, when I see  
6 that Norco was used as a comparator, should I  
7 assume that Norco was used with no antiemetic  
8 protocol being employed along with the Norco?  
9 Those are my two questions.

10 DR. SCHACHTEL: Bernie Schachtel for the  
11 transcriber. The antiemetic was at the physician's  
12 discretion. Because there's no antiemetic approved  
13 for OINV, we couldn't determine that there was only  
14 one that the doctor could use. Regardless, the  
15 drug of choice that was used most frequently was  
16 ondansetron, 4 or 8 milligrams orally.

17 We found, however, that many of the  
18 patients -- in fact, I don't know if I have the  
19 percentages here -- no, I don't. But I can tell  
20 you that many of the patients who were on the  
21 ondansetron, considerably more than the patients  
22 who were prescribed the ondansetron who were on

1 CO-108 [ph], required repeated doses. In fact, in  
2 the 003 study, which was 48 hours of observation,  
3 many of those patients who required repeated doses  
4 at the doctor's discretion of the ondansetron  
5 orally went on to require parenteral, again,  
6 ondansetron administration, 3 to 4-fold more than  
7 in the Hydexor group.

8 DR. ZACHAROFF: Okay. But Norco as a  
9 comparator in this study --

10 DR. SCHACHTEL: Did not.

11 DR. ZACHAROFF: -- was used as Norco  
12 without --

13 (Crosstalk.)

14 DR. SCHACHTEL: Exactly.

15 DR. ZACHAROFF: So you were comparing  
16 Hydexor, which has an antiemetic in its  
17 formulation, comparing it to a narcotic  
18 acetaminophen formulation without an antiemetic.

19 DR. SCHACHTEL: Right. And the reason for  
20 that was to determine the incidence of OINV in  
21 those patients who received just the emetogenic  
22 agent Norco, the hydrocodone, and not to

1       contaminate with another antiemetic. And as a  
2       previous question here, we wouldn't want to have  
3       any other antiemetic there at the same time.

4               DR. McCANN: We have about 10 minutes to  
5       complete the questions, so we're going to go on to  
6       Dr. Ciccarone.

7               DR. CICCARONE: Hi. Dan Ciccarone, UCSF. A  
8       question for Dr. Comer regarding study 007, the  
9       abuse potential study. I remain unconvinced from  
10      the briefing documents from industry and also I  
11      believe in conversation with the FDA about the  
12      sample size. So I need to be convinced a little  
13      bit more about why 40 subjects was adequate, was a  
14      power calculation done, et cetera.

15              DR. SMITH: Dr. Comer?

16              DR. COMER: Thank you for that question. I  
17      believe the sponsor conducted some power analyses  
18      post hoc and found that the sample size that they  
19      used was in excess of 95 percent power. In the  
20      studies that I performed and a lot of other  
21      academics that I've spoken to, our sample size for  
22      similar types of studies examining the abuse

1 liability of opioids used sample sizes on the order  
2 of 12 to 15. And in all of the studies that I do,  
3 those sample sizes also have greater than  
4 90 percent power to detect reasonable differences  
5 in effects. I wasn't involved in this study when  
6 they calculated the sample size, but just from my  
7 own experience, that's a pretty large group of  
8 people.

9 DR. McCANN: Ms. Robotti?

10 MS. ROBOTTI: Thank you. A question on the  
11 packaging. Do you have a clear slide showing the  
12 packaging so we can actually read the words on it?  
13 CS-19 seems to be the clearest that we've seen, but  
14 do you have a better one?

15 DR. SMITH: I don't believe we do, no. No,  
16 ma'am.

17 MS. ROBOTTI: Can you bring it up?

18 DR. SMITH: Yes, ma'am.

19 MS. ROBOTTI: The reason I point it out is  
20 because I don't see -- what I do see on it, I  
21 believe, are 3-day pack, 5-day, 7-day pack, the  
22 3-day pack having 18 samples. The packaging looks

1       like what I would imagine the Z-Pak looks like, or  
2       I know a Z-Pak looks like, where you're encouraged  
3       and in fact told and ordered to use every single  
4       pill in it. So this looks like a product you  
5       should use every single pill. It says it's to be  
6       used in 3 days or to use it all in 5 days, or to  
7       use it in 7 days. But I don't see where it says  
8       use only as needed, don't worry about having  
9       leftovers.

10               Does it say that somewhere on there? And if  
11       not, why not?

12               DR. SMITH: It would be on the prescription  
13       label to take one every 4 to 6 hours as needed for  
14       a maximum of 6 per day. We certainly can discuss  
15       with the agency, as we get to that point, about  
16       what is the appropriate wording that should be put  
17       on the package.

18               MS. ROBOTTI: Just to finish my thought,  
19       which ends up being a comment, I would urge the FDA  
20       to not put on a package that it is a 3-day versus a  
21       5-day because it encourages the consumer, the  
22       patient, to think that that is a requirement to

1 finish it. That's really a comment. Thanks.

2 DR. SMITH: Thank you, Ms. Robotti.

3 DR. McCANN: Dr. Habel?

4 DR. HABEL: My question actually was a  
5 follow-up about the target population. Just for  
6 clarification, I'm kind of wondering, the  
7 population that you chose for the actual-use study,  
8 is that a population that you would also be  
9 targeting when you commercialize it? Because  
10 that's not really a post-surgical population.  
11 Would that be in a pain specialty?

12 DR. SMITH: No, ma'am. That would not  
13 be -- oftentimes, people who have osteoarthritis,  
14 it's a chronic pain condition, so that would not be  
15 a target for this product. This is to be used in  
16 acute pain and to provide that immediate care. So  
17 that is not a target population.

18 DR. HABEL: So you chose that population  
19 more just to demonstrate safety, but not because  
20 you thought it was going to be an actual-use  
21 population itself?

22 DR. SMITH: To get additional safety data on

1 the products; yes, ma'am.

2 DR. McCANN: Dr. Arfken?

3 DR. ARFKEN: Yes. My question is about  
4 postmarketing. For those of us who talk to heroin  
5 users either clinically or out in the field, we  
6 know that there are some who use heroin even though  
7 they experience nausea and vomiting. However, the  
8 concern here is people who might have a barrier to  
9 using opioids at all because of that and now  
10 they're exposed to it. I was just wondering what  
11 part of the postmarketing surveillance would  
12 address then continuing on to use and then to abuse  
13 opioids, especially when they would probably  
14 transition to a cheaper source such as heroin and  
15 getting promethazine in another way.

16 DR. SMITH: Thank you. I'm going to try and  
17 answer both of your questions using a couple of our  
18 experts. I want to first let Dr. Comer again speak  
19 around the fact that these patients, despite the  
20 reduction of nausea and vomiting, at those higher  
21 doses, there did not seem to be any increase in  
22 abuse. And then I'm going to ask Dr. Novak to come

1 up and talk a little bit as well.

2 DR. COMER: Can I have slide CA-10 in the  
3 backup slides? I completely understand your  
4 concern, and that's one that I share with you. I  
5 work with heroin abusers routinely, and I actually  
6 have a NIDA-funded study where we're giving  
7 naloxone out to drug users in an attempt to help  
8 them rescue their other co-users. So I recognize  
9 that it's a massive issue and it's something that  
10 keeps me awake at night.

11 But one thing that makes me feel somewhat  
12 reassured from the data that I reviewed that the  
13 company has is -- this is the time course of drug  
14 liking with the different conditions that were  
15 tested. We just want to draw your attention to the  
16 broken orange circle and the open blue square.  
17 Those were the two higher doses that were tested,  
18 so that's what you'd be worried about.

19 I know from working with this population for  
20 a long time that the rate of onset of drug effects,  
21 of drug liking and high, are critical for its abuse  
22 potential as well as the maximum effect that's

1 produced, so both the Tmax and the Cmax, and the  
2 fact that the time-effect curves were right on top  
3 of each other were really reassuring to me. And I  
4 feel like -- and these were including people who  
5 experienced the nausea and vomiting in both  
6 conditions, and it was less with Hydexor, but the  
7 euphoric effects were the same. So I don't think  
8 that the abuse liability of the Hydexor is in  
9 excess of what's already out there.

10 DR. SMITH: Dr. Novak?

11 DR. McCANN: Dr. Galinkin?

12 DR. GALINKIN: I also have concerns about  
13 the packaging as well because it really does imply,  
14 I think, that patients should be taking 6 pills a  
15 day for 7 days. And since that is the case, did  
16 you look specifically if there was sedation that  
17 got worse over time for patients who took it for  
18 your maximum duration of 14 days, 6 pills per day?  
19 Were the side effects worse? I mean, the drug is a  
20 17-hour -- you do have a drug with a 17-hour  
21 half-life, so does that accumulate over time and  
22 does that worsen sedation over time?

1 DR. McCANN: Who's going to answer that?

2 DR. SMITH: I will. I'm sorry. I was just  
3 having the team pull up a slide. I apologize. We  
4 did look at what happened to these symptoms and  
5 these adverse events over time. As you can see,  
6 they did decrease over time. Again, this is from  
7 studies 002 and 003. Your question specifically  
8 was around study 006, and I'll show you this one as  
9 well, and this is what happened to drowsiness over  
10 time.

11 DR. GALINKIN: Were the patients taking  
12 6 pills per day, each of the patients taking  
13 6 pills per day?

14 DR. SMITH: No, sir, they were not. As I  
15 shared with you earlier, when you looked at the  
16 overall adverse event profile, though, it was very  
17 similar to what we currently see with marketed  
18 hydrocodone APAP with regard to the incidence of  
19 drowsiness. But no, sir, they weren't.

20 DR. McCANN: Thank you. That concludes the  
21 questions to the sponsor. Right now, we're going  
22 to hear from Dr. Sharon Hertz who will provide us

1 with a charge to the committee.

2 **Charge to the Committee - Sharon Hertz**

3 DR. HERTZ: Lots of questions, lots to  
4 consider. We're going to request that you provide  
5 your opinions, your advice, based on your expertise  
6 and experience, to help us find a reasonable and  
7 responsible path forward.

8 Our questions I'm just going to summarize.  
9 You'll be seeing them shortly. But we're going to  
10 ask you if the program supports the safe and  
11 effective use of this product for the indication:  
12 prevention of opioid-induced nausea and vomiting.  
13 We're going to ask you if you have specific  
14 concerns about it not being an abuse-deterrent  
15 formulation. And we're also going to ask about  
16 concerns that you may have about greater risk in  
17 the sphere of misuse and abuse. And then  
18 ultimately, based on these considerations, whether  
19 you feel the product should be approved. Thank  
20 you.

21 **Questions to the Committee and Discussion**

22 DR. McCANN: We will now proceed with

1 questions to the committee and panel discussions.  
2 I would like to remind public observers that while  
3 this meeting is open for public observation, public  
4 attendees may not participate except at the  
5 specific request of the panel.

6 The first question we're going to deal with  
7 is question number 1. Does the applicant's  
8 clinical program support the safe and effective use  
9 of Hydexor as an analgesic and for prevention of  
10 opioid-induced nausea and vomiting that is limited  
11 to use in individuals likely to experience OINV?  
12 Open for discussion.

13 Dr. Higgins?

14 DR. HIGGINS: I have considerable concern,  
15 as was expressed by one of the public speakers,  
16 about the data for older adults, 65 and up. I know  
17 that there is some discussion from the sponsor that  
18 the mean age was 61 for one of the studies. I just  
19 feel like there needs to be more data on that  
20 population in particular given the less  
21 tolerability to these medications as people age and  
22 the greater prevalence of surgeries that would

1 require the use of this kind of product.

2 DR. McCANN: Dr. Choudhry?

3 DR. CHOUDHRY: I have concerns as well. I  
4 think the side effects that we have seen are  
5 predictable, so there's nothing here that's  
6 surprising or strange. But because they're  
7 predictable, the question then becomes whether or  
8 not putting them in combination so they can  
9 predictably occur is a good idea or not. So it  
10 makes me think that there is really two ways  
11 forward.

12 Either you say forget it; you can't do this  
13 in combination -- the 20 percent diastolic less  
14 than 60 is a lot, leaving aside the sedation  
15 drowsiness data that we've seen -- or you are very  
16 restrictive to whom this is given. And I think the  
17 broad indication, which we'll get to later, of  
18 patients with acute pain as opposed to those  
19 predominantly in the postoperative setting, high  
20 risk of post-op nausea and vomiting with very clear  
21 contraindications, it's really one of those two  
22 options. I think for me, as was offered earlier,

1 the idea of forcing combinations sometimes is  
2 exactly forcing us the wrong way and reduces  
3 clinical flexibility.

4 DR. McCANN: Dr. Ciccarone?

5 DR. CICCARONE: I'll pass.

6 DR. McCANN: Ms. Robotti?

7 MS. ROBOTTI: Hi. First a question. It's  
8 an FDA slide question, Dr. Timothy Jiang, and I  
9 apologize if I'm saying your name wrong, slide 9,  
10 severe AEs. It says -- and I'd like to point  
11 out -- "number of subjects with at least one severe  
12 OSS on Hydexor is 50 percent and 33 percent for  
13 days 1 to 2 and 3 to 5 versus Norco 35 percent and  
14 22 percent days 1 to 2 versus 3 to 5."

15 Can I add those percentages or do they  
16 duplicate each other? I'll ask the doctor.

17 DR. HERTZ: These don't distinguish  
18 individual patients. You can't necessarily add  
19 across cells.

20 MS. ROBOTTI: Okay. Then as part of my  
21 comment, I'd like to point out that I think  
22 50 percent as a severe opioid-induced symptom is a

1 number we should take seriously. It's a lot higher  
2 than 35 percent on Norco.

3 Also, I'm concerned about giving this  
4 medicine prophylactically to anybody who might feel  
5 nauseous. As a woman, I get nauseous on long  
6 elevator rides; forget boats. I am of the age in  
7 this study, although I don't tell anyone, and I've  
8 never smoked. So if I was going in for bunion  
9 surgery, check-check-check, I would get this  
10 product. But I was given opioids in the early '90s  
11 for back pain -- let's not even go there -- and was  
12 on it for 29 days. Let's not go there either.  
13 Never felt nausea the entire time.

14 So I would be given this drug -- I would be  
15 given three drugs instead of two. I would be given  
16 promethazine. I would be exposed to all the risks  
17 of increased drowsiness, lowered blood pressure,  
18 respiratory depression, promethazine's unique side  
19 effects of dizziness -- well, constipation isn't  
20 unique -- ringing in the ears. I would be at risk  
21 of all of that for no reason.

22 So for that reason, if this drug were to get

1 approved, I would suggest a label indication should  
2 not say to prevent but only be in response to  
3 somebody who has had a bad reaction in the past or  
4 is having a bad reaction now. It's a period of  
5 time, and I'm sorry that people would be  
6 uncomfortable. And I recognize that there are  
7 going to be some surgeries where the risk of being  
8 overmedicated is better than the risk of vomiting,  
9 but that's a very small amount. So please don't do  
10 that.

11 After reviewing the documents and listening  
12 to the presentations today, I don't find this drug  
13 offers any benefit by combining all three drugs.  
14 You could give promethazine prophylactically before  
15 the drug if you have a history, if you have a  
16 problem with it. There's no need to limit the  
17 ability to tailor the dosage and the drug to an  
18 individual's need. That's it.

19 DR. McCANN: Thank you. Dr. Meisel?

20 DR. MEISEL: Thank you. I'm going to echo a  
21 lot of what Dr. Robotti said, although I'm not a  
22 woman and didn't have some of these experiences you

1 had. The question on the table here is, does the  
2 benefit of this drug offset the new risks, and I'm  
3 having a really hard time with this.

4 First of all, it was stated originally that  
5 1 out of 5 people who get a prescription for  
6 Vicodin walk out of the pharmacy, take a pill, and  
7 throw up. That's preposterous. That just isn't  
8 the case. This is a hyper-enriched population  
9 that's very prone to this sort of a problem. It  
10 excludes the real-world situations that were  
11 described before with people who are on other drugs  
12 that are anticholinergic and what have you, and  
13 there are an awful lot of people who are on an  
14 awful lot of those kinds of medications. We do  
15 decrease some nausea with this, but at the expense  
16 of a number of other adverse events, blood pressure  
17 and all that sort of stuff.

18 Promethazine is a nasty drug on its own; it  
19 really is. And there's a reason that the data that  
20 the FDA showed about antiemetics that are being  
21 used is predominantly ondansetron. And there's a  
22 reason that ondansetron is selected, is because,

1       yes, it's got some problems and may not work the  
2       same way, but it doesn't have the adverse event  
3       profile that a drug like promethazine, or  
4       Compazine, or some of those other drugs have.

5               So when you think that we're going to expose  
6       everybody who needs Vicodin or Norco to another  
7       drug, promethazine, to me that's adding an awful  
8       lot of risk for relatively little value. For the  
9       situations where you know somebody gets really urpy  
10      and has got a real problem with narcotics, there's  
11      nothing to stop you from giving a second  
12      prescription for ondansetron, or promethazine, or  
13      whatever for that particular situation. That's  
14      available to everybody today. We don't need to put  
15      this into a combination product and add those risks  
16      for everybody.

17             DR. McCANN: Dr. Zacharoff, please.

18             DR. ZACHAROFF: Kevin Zacharoff. I just  
19      want to clarify the question at hand, that it's  
20      asking the question without the inclusion of the  
21      proposed indication that Hydexor is indicated when  
22      alternative treatments for pain are inadequate,

1 because that implies to me that other agents have  
2 been tried prior to the indication for Hydexor.

3 DR. HERTZ: The way we have the indication  
4 laid out for the opioids is when other products are  
5 inadequate, or are expected to be inadequate so  
6 that you don't have to run everybody  
7 through -- like for instance, post-op, you don't  
8 have to give everybody acetaminophen followed by an  
9 NSAID, followed by this before you get -- if you're  
10 coming out of the OR, one can get you up to  
11 something stronger. But that's sort of mixed in,  
12 and it will be in the language.

13 DR. ZACHAROFF: Okay. Because the wording,  
14 I do personally believe in the ability, and I have  
15 relied on for many years, to predict the likelihood  
16 of somebody being at increased risk for opioid or  
17 postoperative nausea and vomiting, as Dr. Gan  
18 mentioned, and there's a lot of credibility there.  
19 But again, as we just heard from Sharon, I would  
20 not consider it to be indicated after alternative  
21 treatments have been tried because I'm empirically  
22 going to prescribe it for someone if I think

1 they're at increased risk. Thank you.

2 DR. McCANN: Dr. Michna?

3 DR. MICHNA: Yes. I just want to get back  
4 to the inflexibility here. Here we have an  
5 indication, this postoperative pain that needs to  
6 be very flexible, and we're proposing a drug that  
7 has no flexibility. Then I have to think of what  
8 the clinical reality is. If people are having side  
9 effects, the doctor's going to say cut the pill in  
10 half, yet we have no clinical data to show that  
11 that's effective. In the same sense, we're using  
12 7.5, which I believe is at least a little bit  
13 higher dose than usually given. And then if that's  
14 not effective, instead of going to a 10 milligram.  
15 we're going to a 15 milligram, and again, we have  
16 no data on that.

17 So I think this lack of flexibility is  
18 really causing me to look at this in a negative  
19 light, especially for such an indication that by  
20 its nature, it needs a flexible solution.

21 DR. McCANN: The sponsor would just like to  
22 clarify.

1 DR. SMITH: And I probably should have been  
2 clearer earlier, again, as to why the dose was  
3 selected. We wanted to have a product that would  
4 benefit the majority of patients with acute pain in  
5 that immediate setting. Also, by choosing this 7  
6 and a half, the maximum that could be taken a day  
7 is 6. So 45 milligrams versus if we used a  
8 5 milligram, 60 milligrams. So we're limiting the  
9 hydrocodone dose, and we showed that it was  
10 effective for pain.

11 The promethazine, I heard a lot of  
12 conversation around the promethazine combination.  
13 As you all know, currently both labels caution  
14 against using with one another. And what I hear  
15 from physicians is, "I don't know how to prescribe  
16 them, Tom." We know from IMS data that about  
17 10 percent of patients who are receiving immediate-  
18 release opioids are co-prescribing -- within a few  
19 days period anyhow if not on the day of the  
20 procedure, are co-prescribed promethazine. And  
21 what I'm hearing from physicians is, "I don't know  
22 what to tell to take, Tom. Do I tell them to take

1 1 to 2 of their opioids? Do I tell them to take  
2 the promethazine before the opioid? Do I tell them  
3 to take it with the opioid?"

4 So I have my patients self-titrating pain,  
5 but also self-titrating now their antiemetic. So  
6 that's why we believe that the fixed dose in this  
7 instance does help those patients who require an  
8 opioid and also are at risk for OINV.

9 DR. MICHNA: The problem is that in clinical  
10 reality, there's a spectrum of patients. Not  
11 everybody is one dose fits all. And what you're  
12 saying is contrary to the clinical realities.  
13 What's going to happen is patients are going to  
14 take two of these and maybe three of these. And  
15 just by saying it's limited is not -- so the  
16 patient's going to run out, and with all these  
17 dose-limiting insurance programs, if the  
18 practitioner wants to prescribe an additional  
19 prescription, because of this hard wiring, it's  
20 going to be rejected by insurance in an acute  
21 situation. By the time it's all settled and done,  
22 that patient potentially would have a period of

1 several days of being excess pain.

2 So again, post-op pain needs flexibility,  
3 and what you're proposing for that indication is  
4 not flexible.

5 DR. HERTZ: I need to interrupt. We need to  
6 have the committee talk now rather than -- I just  
7 want to hear from the committee now please.

8 DR. McCANN: Dr. Bateman?

9 DR. BATEMAN: I share a lot of the same  
10 concerns that have been raised by the other  
11 panelists about the risk of exposing patients to  
12 drowsiness, confusion, syncope, and lower blood  
13 pressure when the promethazine is being added in a  
14 prophylactic fashion for the patients who  
15 experience nausea and vomiting. But I'd also like  
16 to bring up the potential risk of respiratory  
17 depression.

18 In our briefing materials, the label for  
19 Phenergan was included, and there's a warning about  
20 the risk of fatal respiratory depression associated  
21 with its use and recommends avoiding it in patients  
22 with compromised respiratory function that might be

1 at heightened risk. There's more and more  
2 literature coming out about the risk of overdose in  
3 patients that are co-prescribed opioids and other  
4 sedatives or other drugs that cause respiratory  
5 depression like benzodiazepines and gabapentinoids.

6 I know in the trials that were done with  
7 about a thousand patients, they had no cases of  
8 respiratory depression, but it's a rare side  
9 effect, something that you would not see in a trial  
10 of a thousand patients where most of the patients  
11 were exposed for relatively short durations but a  
12 potentially catastrophic complication. So I think  
13 that's a risk that we also should keep in mind in  
14 weighing the buy of this drug.

15 DR. McCANN: Dr. Morrato?

16 DR. MORRATO: I also agree with many of the  
17 safety concerns, so what I wanted to add to is  
18 thinking about how this might then translate to how  
19 we evaluate in a postmarketing setting. I agree  
20 with Dr. Choudhry, it's hard to understand whether  
21 or not what we're seeing is the effect of seeing  
22 the two drugs, and our comparison is being forced

1 to a drug that's just the one drug. So is the  
2 difference really true when it's out in real-world  
3 practice or not? And we don't really have, I  
4 think, that evidence to say, well, if the one drug  
5 is being used with an anti-nausea drug in real  
6 world, maybe those safety profiles look more  
7 similar.

8 I think I applaud the FDA on really trying  
9 to understand what is the utilization patterns  
10 existing and what can we learn. It's disappointing  
11 that we don't really have that drilled-down data in  
12 the decision-making, so I'm even now more  
13 disappointed that the sponsor didn't present, if  
14 you have, information from physicians around here's  
15 how they're thinking about addressing this problem,  
16 why that wasn't systematically collected and not  
17 just anecdotal because it gives me pause on the  
18 ability to really evaluate the safety profile if  
19 this drug is in market and whether or not we're  
20 seeing safety signals teased out by how it might be  
21 utilized or not.

22 So these questions around are we overdosing,

1 are we now forcing profiles together of two  
2 different drugs, it's going to get lost in the  
3 background noise of these are common side effects.  
4 So that gives me pause as well of how do we think  
5 about even evaluating postmarketing and if we're  
6 seeing a similar experience to what we see in these  
7 trials.

8 DR. McCANN: Dr. Raghunathan?

9 DR. RAGHUNATHAN: This question calls for  
10 lots of balancing acts and somewhat a paucity of  
11 information to make that balancing act. In the  
12 Norco group, if almost everybody got some  
13 antiemetic medicine, then it makes sense saying  
14 that, well, they're getting it anyway, whether this  
15 is an alternative way of giving 1 pill rather than  
16 2 pills.

17 I agree that I think this loses the  
18 flexibility of tailoring the medication use to  
19 different people, but at the same time I'm trying  
20 to see whether there is a significant section of  
21 the population for which this will be a reasonable  
22 way of prescribing an opioid when you have the

1       opioid-induced vomiting as a really big thing for  
2       them.

3               So I think this is a question of is this  
4       universally applicable, or restrictions have to be  
5       applied on how this is prescribed, and for whom it  
6       is prescribed. I'm trying to find out from these  
7       data, really, can we identify for whom this can be  
8       prescribed and whether this could be useful.

9               DR. McCANN: Are there any more comments on  
10       this question? Dr. Porter?

11              DR. PORTER: Thank you. The inflexibility  
12       of the prescriptions is a concern for me and the  
13       fact that it's not titratable and that each of the  
14       pills has a set amount of promethazine and also of  
15       the hydrocodone. I think once it's FDA approved,  
16       even if it's approved for this small little area of  
17       people, once it's out there, then it's going to be  
18       available to everyone, and that's my concern; that  
19       we can say who it should be approved for, but once  
20       it's out, it doesn't matter because it's going to  
21       be available and it's going to be used by everyone.  
22       And if the marketing is to the public, which I

1 don't know what the rules are now about  
2 opioids -- but if the marketing is to the public or  
3 to general practitioners, then it's going to look  
4 extremely appealing.

5 DR. McCANN: Dr. Zacharoff?

6 DR. ZACHAROFF: Kevin Zacharoff. Playing on  
7 what Dr. Michna said, in a real-world setting,  
8 there is going to be a subset of patients who have  
9 breakthrough pain if this is prescribed as  
10 directed, and there's been no discussion about what  
11 would be provided for these patients in the event  
12 that they have breakthrough pain and how they would  
13 take it. My concern would be that somebody might  
14 tell them, well, you're only supposed to take a  
15 maximum of 6 of these a day, so then the question  
16 becomes what will I recommend that they do if they  
17 have breakthrough pain?

18 Again, I'm worried about these acute pain  
19 specialists and what decisions they might make.  
20 But I would think that in some subset of patients,  
21 there would have to be co-prescribing of this  
22 medication with some other medication in the event

1 that pain breaks through because I would not want  
2 the patient to double up on doses of this  
3 medication.

4 DR. McCANN: I would like to just briefly  
5 summarize some of the comments here. I think they  
6 come down to both safety concerns and philosophic  
7 concerns. The safety concerns that were brought up  
8 were concerns about data for older adults. There's  
9 just not enough of that; concerns about predictable  
10 although adverse effects such as blood pressure,  
11 and just because a drug is known to cause  
12 hypotension doesn't mean that it's good that it  
13 causes hypotension; and concerns that patients may  
14 treat their pain, titrate the drug to their pain,  
15 and unintentionally overdose on the promethazine.  
16 Those would be the safety concerns.

17 Philosophic concerns that came up were  
18 basically that forcing combinations and fixed doses  
19 may not be a good idea, ever, for any medication,  
20 and also the concern that we just don't have enough  
21 data to know if the benefit of forcing this  
22 combination would outweigh the risks.

1           Now I would like to go on to question  
2 number 2 for discussion. There are currently no  
3 immediate-release hydrocodone-acetaminophen  
4 combination products with abuse-deterrent  
5 properties that are approved and are on the market.  
6 Do you have concerns that Hydexor does not have  
7 abuse-deterrent properties?

8           Dr. Litman?

9           DR. LITMAN: Thank you. As of today, the  
10 published literature does not indicate that  
11 abuse-deterrent formulations are making any kind of  
12 an impact. They may in the future. The studies,  
13 they're beginning. So as of today, then no.

14           DR. McCANN: No. Okay. Dr. Galinkin,  
15 please.

16           DR. GALINKIN: Since this combination,  
17 particularly the codeine-promethazine, was widely  
18 used for this purple drank thing, I don't see why  
19 you would release something that you could dissolve  
20 essentially in a glass of whatever and make  
21 essentially the same product. Since hydrocodone is  
22 a metabolite and structurally very similar to

1 codeine, it seems to me that you'd have a product  
2 with high-abuse potential in that population, which  
3 wasn't looked at, which has a non-deterrent  
4 property, which dissolves easily in anything.

5 DR. McCANN: Ms. Robotti?

6 MS. ROBOTTI: Thank you. I find the study  
7 on abuse potential unconvincing given that the  
8 participants in the trial were recreational users  
9 of opioids. These are people who presumably are  
10 not opioid naive. They know if they're going to  
11 have discomfort from it, and they either don't have  
12 discomfort or they don't care about that. The  
13 liking scale showed of course they liked it only as  
14 much as the HC-APAP.

15 Out in the real world, my guess would be  
16 that those people who have avoided opioids because  
17 it gives them stomach upset would not have to and  
18 would be able to party with that drug and have a  
19 new whole gateway into drug use this way, just to  
20 use a very strong word. I think that they'd find  
21 it a significant benefit to allowing them to use  
22 the drug.

1           Secondly, I question Charleston Lab's  
2           commitment to this mitigation at all given that the  
3           packaging encourages around-the-clock use of the  
4           drug, with the exception of the unexplained Hydexor  
5           buyback program, which has not been explored on any  
6           level and is some vague promise that they've plenty  
7           of time to have conversations about. And they have  
8           no idea if they can deliver on this promise at all  
9           on a legal basis.

10           They promise an independent risk mitigation  
11           advisory board some time in the future. Why would  
12           Charleston Labs not convene the board now when  
13           they're developing the risk mitigation plan if they  
14           truly want the input of a independent risk  
15           mitigation team? I'm not convinced that this drug  
16           won't be abused, and I'm not convinced that this  
17           company won't do everything they can to stop that  
18           abuse.

19           DR. McCANN: Dr. Ruha?

20           DR. RUHA: Michelle Ruha. I don't really  
21           have concerns either that it doesn't have  
22           abuse-deterrent properties; one because I'm not

1       sure they make a difference, I agree; and two,  
2       because it will be dispensed in these packages as  
3       it is rather than large quantities.

4               DR. McCANN: Dr. Ciccarone?

5               DR. CICCARONE: Dan Ciccarone, UCSF. I'll  
6       leave my larger comments around the potential abuse  
7       of this drug for the next question. But just in  
8       terms of the ADF question, there's not a lot of  
9       evidence for crushing, snorting, and intravenous  
10      injection of hydrocodone combination products, nor  
11      promethazine. So actually for this particular  
12      question, I do not have a lot of concern.

13              DR. McCANN: Dr. Kotz?

14              DR. KOTZ: I am an addiction psychiatrist  
15      who sees opioid-use disorders in patients every  
16      day, so I personally do not have concerns about  
17      these abuse-deterrent properties because the fact  
18      is anytime you take any opioid, there is some risk.  
19      So if we're trying to evaluate does this have more  
20      or less I think in terms of the information we've  
21      been given, it doesn't have any more likeability,  
22      but you can never be certain of that.

1           So for me, it's looking at this as one  
2 option, and I'm not concerned about the  
3 abuse-deterrent properties in this particular  
4 medication.

5           DR. McCANN: Does anybody else have any  
6 comments that they would like to make?

7           (No response.)

8           DR. McCANN: I'd say that there's a slight  
9 preponderance of people that suggested that  
10 abuse-deterrent policies have not been demonstrated  
11 yet to be effective, so the fact that there may not  
12 be a very strong abuse-deterrent program in place  
13 here may not make any difference.

14           Several members were concerned that this  
15 drug did have abuse potential by dissolving it in  
16 drinks and that there may be a population of  
17 individuals that would have suffered with OINV that  
18 now would not, and they may be at higher risk for  
19 developing addiction problems. Other people  
20 commented that the mitigation plan was not well  
21 formulated and that that needed to be formulated  
22 before they would be in favor of this drug.

1           Let's go on to the third question.  
2           Epidemiologic data suggest that misuse and abuse of  
3           promethazine, either alone or in combination with  
4           opioids or other drugs, have resulted in emergency  
5           department visits, contact with poison control  
6           centers, and deaths. Please discuss whether you  
7           think Hydexor poses greater risks than currently  
8           marketed hydrocodone-acetaminophen products.

9           Dr. Choudhry?

10          DR. CHOUDHRY: There are two parts to this  
11          question, really. One is about misuse and one is  
12          about risk, and the two go together but not  
13          necessarily. And I'm going to return to this idea  
14          that there are predictable side effects here, and  
15          when taken to a real-world population, who now we  
16          add in other antimuscarinics that were discussed  
17          and other antihypertensives, and anything else  
18          which has CYP interactions, I think we open  
19          ourselves up to a much broader risk profile than  
20          we're seeing in these small studies.

21          We see this consistently for drugs as they  
22          go to market across classes. In this case, we see

1 signal even in small selected studies. So I think,  
2 at least from the perspective of the second part of  
3 this question, whether or not this poses greater  
4 risks than the currently marketed products, I think  
5 the answer is yes.

6 DR. McCANN: Dr. Meisel?

7 DR. MEISEL: I agree, particularly in the  
8 misuse element of this question. Abuse, I'm not  
9 quite so sure. But there's no doubt -- I think it  
10 was described before, that, yes, 1 tablet every  
11 4 hours, but some people will take 2, and some  
12 people will take 3, and some people will take the  
13 entire box at 1 dose, that sort of thing. That's  
14 going to happen in terms of misuse. And when that  
15 does happen, because it's not a question of if,  
16 it's a question of when, it's going to increase the  
17 risks of these folks ending up in the ED with all  
18 sorts of nasty adverse events that otherwise  
19 wouldn't have occurred had they'd been on plain  
20 hydrocodone-acetaminophen.

21 DR. McCANN: Dr. Litman?

22 DR. LITMAN: Thank you. When I was

1 preparing for this meeting and I was reading  
2 through a lot of the material, I had the exact same  
3 thoughts, so I did some research and found a couple  
4 papers that looked at the effects of promethazine,  
5 big overdoses. And I found a couple, and I'm happy  
6 to share them. But I was quite surprised that the  
7 toxicity was not as I expected. One that came from  
8 Australia, the mean amount ingested -- and these  
9 are like purposeful ingestions -- it was  
10 650 milligrams. Another one comes from the U.S.  
11 and had something similar from poison control  
12 center data.

13           Clearly, there will be an increase in side  
14 effects, and yes, there may be an increase in  
15 emergency room visits for delirium, or drowsiness,  
16 or anticholinergic effects, as was discussed  
17 before, but I was surprised that the serious  
18 toxicity that I thought I would find was not there.

19           DR. McCANN: Dr. Zacharoff?

20           DR. ZACHAROFF: Kevin Zacharoff. The thing  
21 that concerns me with respect to the risks as  
22 opposed to currently marketed

1 hydrocodone-acetaminophen products rests on what I  
2 consider insufficient information regarding the  
3 risk mitigation strategies. I have to discount the  
4 return program because the details of it don't seem  
5 to exist yet.

6 So since I don't know what it is and we  
7 weren't able to clarify what it is, I can't  
8 consider that to be something that I would  
9 consider. I also think the lack of true  
10 stratification about who the prescribers might be  
11 and who the recipient patients might be makes it  
12 troubling for me as well.

13 DR. McCANN: Dr. Ciccarone?

14 DR. CICCARONE: Dan Ciccarone. My expertise  
15 is in heroin misuse and consequences, so I'll  
16 present from my experience and my read of the  
17 literature. I was quite familiar with the San  
18 Francisco studies on methadone maintenance patients  
19 having illicit, let's say, promethazine in their  
20 urines as well as studies on chronic pain patients  
21 that both came out of San Francisco General  
22 Hospital.

1           Promethazine is a minor drug of choice among  
2 some. We do see promethazine misuse both with  
3 low-potency opioids, for example, codeine, as well  
4 as high-potency heroin and methadone. The use of  
5 this I believe is because it does potentiate the  
6 nod. There's a mixed opinion about what the nod  
7 is. It could be the high levels of euphoria; it  
8 could also be just deepening sedation. Either way,  
9 the user's experiencing something they want, a  
10 potentiation of this thing called "the nod." We've  
11 seen this for a couple of generations now.

12           Now having said that, do I think that this  
13 is going to lead to wide-scale misuse and abuse?  
14 It seems unlikely. I mean, it's low levels of  
15 promethazine in this product. I applaud the FDA's  
16 research looking at drug forum data. Even though  
17 it's qualitative and limited in many ways, it does  
18 bring out some of the richness of user experience,  
19 both positive and negative. A lot of people don't  
20 like promethazine in combination with their  
21 opioids.

22           I do also appreciate industry's approach

1 here as part of the panel that discussed  
2 dose-limiting packaging. I do think they have a  
3 first-in-category packaging product here. I do  
4 share the concerns about it being used for  
5 compelling patients to feel like they have to use  
6 3, 5, and 7 days. I think that could be corrected.  
7 But the idea of limiting the number of patients  
8 exposed to this, limiting the number of dosages  
9 that go out into the world, the packaging group  
10 believes strongly in that, and I think I applaud  
11 industry for bring that product forward.

12 So I'd say unbalanced low levels of risk,  
13 but there will be some. There will be some misuse  
14 and abuse of this, and probably in a prescription  
15 linear level with the amount of prescriptions that  
16 go up. But I think low level also in terms of  
17 severity of outcome, in terms of deaths and severe  
18 consequences like hospitalizations. Thank you.

19 DR. McCANN: Dr. Kotz?

20 DR. KOTZ: I didn't realize I hadn't put my  
21 sign down, but I agree with everybody that I think  
22 that the return program, even though it's a great

1       concept, I think that that would be very, very hard  
2       to implement. But I also agree that the packaging  
3       can be changed, so it says, with the prescription,  
4       that you can use this PRN. You don't have to use  
5       the whole pack.

6               The reality of it is people with addicted  
7       disorders are going to use perhaps as many as there  
8       are there, but they would do that, too, with pills  
9       in a bottle in which we saw the data showing that  
10      even though the number of prescriptions for  
11      hydrocodone have gone down, that the amount of  
12      pills that a person receives in that one  
13      prescription has gone up dramatically. So I think  
14      that at least there is a finite number in the  
15      package, and that can be somewhat helpful.

16             DR. McCANN: Dr. Ruha?

17             DR. RUHA: Michelle Ruha. I agree. I don't  
18      think promethazine itself really poses much of an  
19      increased risk. Even if someone was to overdose on  
20      the entire package, they're more likely to die from  
21      opioid toxicity than promethazine. Promethazine  
22      itself isn't a huge problem.

1           But I'm more concerned -- I do think that  
2       Hydrexor poses greater risk than just  
3       hydrocodone-acetaminophen only because it can  
4       result in so many additive or drug interaction  
5       effects, not only the cytochrome P450 interactions  
6       and the antimuscarinic ones, but it does prolong  
7       QT. I think there was a comment earlier that it  
8       doesn't, but it does prolong QT. So if somebody  
9       was on other QT prolonging drugs, I think there's a  
10      lot of opportunity for potential drug interactions.  
11      So I do think it's increased risk over the  
12      currently marketed product.

13           DR. McCANN: Dr. Morrato?

14           DR. MORRATO: I'd like to comment from a  
15      public health or population level kind of risk.  
16      Having sat on committees like this, probably over  
17      15 of them or more, reviewing deterrent  
18      formulations early in the process, and now we're  
19      seeing some data, reviewing and being part of the  
20      rescheduling, you can see how the impact of some of  
21      these decisions sort of play out in the larger  
22      market. And it really from a public health

1       standpoint made me really think that it's a very  
2       complex, dynamic system of which we really don't  
3       know a lot of these interconnectedness and fully  
4       understand it.

5               So I now approach these kinds of questions  
6       more concerned about unintended consequences, even  
7       if they might be small effects at an individual  
8       level, how they play out at a population level and  
9       whether or not we might unintentionally be shifting  
10      a risk curve in the wrong direction given the  
11      overall epidemic that's happening.

12             For instance, I agree too that blister pack  
13      in of itself seems like the right direction. It's  
14      restricting the number of pills, et cetera, but  
15      with a fixed dose number of pills and might that  
16      actually end up into higher dosing in some  
17      patients. We saw data that was presented that the  
18      more you take, the more likely is your risk of  
19      developing dependency yourself, so, again, that  
20      overdosing kind of shifts the curve a little bit.

21             We didn't talk much about the education.  
22      I'm not a clinician, but it sounds like listening

1 to the clinicians around here, this combination is  
2 novel or maybe it's not used intentionally in  
3 practice because of concerns. So really, what is  
4 the education that might be specific to the product  
5 that's different from what is the REM general  
6 education around abuse and deterrence. Really,  
7 have we considered that background noise of risk  
8 communication and the nuance story that needs to  
9 happen with this drug?

10 Then I would agree with Dr. Galinkin. It  
11 may not be a large impact, but if it's making it  
12 easier for some to abuse it or think they're  
13 abusing it -- I know we've spent time on DXM, and  
14 that was only like 8 to 10 percent of teens trying  
15 it out and abusing it, and yet that was a  
16 significant problem when you look at it at  
17 population level. So again, you take this small  
18 risk and multiply it out by all of the general  
19 abuse.

20 We saw very limited data on discussion from  
21 the sponsor, I think, about thinking about how  
22 these market dynamics play, which makes me think

1 the fact that here we are at the stage of an  
2 advisory committee, and they don't know how they're  
3 going to commercialize the drug? In theory, this  
4 could be approved in days from these kinds of  
5 meetings. I find that quite concerning, in  
6 addition to the reasons that have been discussed  
7 around, really, the likelihood of seeing a takeback  
8 program or a buyback program really work.

9 So these things I'll just summarize. From a  
10 population level, it makes me more cautious. So  
11 really, unless there's real clear benefit, I'm  
12 starting to more likely err on worrying about the  
13 unintended consequences.

14 DR. McCANN: Dr. Raghunathan?

15 DR. RAGHUNATHAN: Trivellore Raghunathan.

16 This question caused for me a comparison, what am I  
17 comparing with. If the currently marketed products  
18 are given with a separate drug, which contains  
19 promethazine, to account for the opioid-induced  
20 vomiting, then I think these are very similar risk  
21 factors in both groups. So it depends upon what is  
22 the extra drug that is given and what is the impact

1 of the extra drug that is given for the opioid-  
2 induced nausea and vomiting.

3 One thing that I think is because we are  
4 mitigating opioid-induced nausea and vomiting, that  
5 creates more use of this particular drug, then I  
6 think it can potentially lead to more misuse  
7 because they can use more. But I don't see that  
8 the alternative is clearly crafted, and we don't  
9 have data on that alternative on what would be the  
10 misuse properties of the alternative.

11 DR. McCANN: Are there any more comments?

12 (No response.)

13 DR. McCANN: I'll try to briefly summarize.  
14 First it was brought up there already is a risk  
15 signal from the very small studies that's been  
16 brought up and that once this is broadly marketed,  
17 that we could anticipate that these small risks  
18 would at least grow in numbers, and that could be  
19 something to think about, specifically drowsiness  
20 and blood pressure.

21 Although most of the committee anticipates  
22 that some of these side effects will be severe

1 enough to bring patients to emergency rooms and to  
2 the care of physicians, the majority do not believe  
3 that there's going to be a lot of serious side  
4 effects with the addition of promethazine to this  
5 drug combination. There also were concerns about  
6 the potential drug interactions, especially in  
7 older patients who are on many different  
8 medications.

9           The packaging was brought up again. The  
10 packaging as is appears that it may encourage some  
11 patients to take the entire dose, whether they  
12 really need it or not, but the limited amount of  
13 medication within the packaging may encourage less  
14 overall use of opioids, and that would probably be  
15 a good thing. People are not happy with the return  
16 program. It's just very, very briefly sketched out  
17 at this point, poorly characterized, so we can't  
18 really say too much about that.

19           The entire discussion today I felt were  
20 basic concerns about the particular drug  
21 combinations that were chosen by the sponsor, if we  
22 were to go with a combination, were these the right

1 combinations that we would choose as a pain  
2 specialist.

3 That is my summary. We will now go on to  
4 the voting portion. We will use an electronic  
5 voting system for this meeting. Once we begin the  
6 votes, the buttons will start flashing and will  
7 continue to flash even after you have entered your  
8 vote. Please press the button firmly that  
9 corresponds to your vote. If you are unsure of  
10 your vote or wish to change your vote, you may  
11 press the corresponding button once the vote is  
12 closed.

13 After everyone has completed their vote, the  
14 vote will be locked in. The vote will then be  
15 displayed on the screen. The DFO will read the  
16 vote from the screen into the record. Next, we  
17 will go around the room and each individual who's  
18 voted will state their name and vote into the  
19 record. You may also state your reason why you  
20 voted the way you did if you want to. We will  
21 continue in the same manner until all questions  
22 have been answered or discussed.

1 I think we're ready to do the vote. I have  
2 to read the question. Question number 4, should  
3 Hydexor be approved? If there are no questions or  
4 comments concerning the wording of this question,  
5 we will now open the question to discussion.

6 I thought we were going to vote first, but I  
7 guess we're going to discuss. Are there any  
8 questions on the wording of the question or  
9 anything like that?

10 (No response.)

11 DR. McCANN: All right. So then we can  
12 vote.

13 (Voting.)

14 DR. McCANN: Everyone has voted, and the  
15 vote is now complete.

16 DR. CHOI: For the record, we have 2 yes,  
17 19 no, and zero abstentions.

18 DR. McCANN: Now that the vote is complete,  
19 we will go around the table and have everyone who  
20 voted state their name, vote, and if you want to,  
21 you can state the reason why you voted as you did  
22 into the record. And we'll start on my right side

1 or this side of the table.

2 DR. HABEL: Laurie Habel. I voted no. I  
3 thought that the efficacy was convincing, but I was  
4 concerned about the lack of flexibility in the  
5 dosing and the ramifications of that. So that was  
6 my main consideration when voting no.

7 DR. ARFKEN: Cynthia Arfken. I voted no.  
8 In addition to what was stated, I was concerned  
9 about the postmarketing surveillance and all the  
10 plans for it. I also am concerned about how it  
11 would be approved, the indicator. I think to  
12 really show effectiveness, it should be shown  
13 comparable or superior to a PRN approach to doing  
14 it with two medications.

15 DR. ZACHAROFF: Kevin Zacharoff. I voted  
16 no, and my main reasons were the logistics in a  
17 clinical sense, pre- and post-prescription. There  
18 was no discussion about whether prophylactic  
19 measures could be taken and what would happen if  
20 there were breakthrough pain, et cetera. In  
21 addition to that, bundling the medication is moving  
22 towards a less tailored approach as we, I think,

1 move towards an increased level of tailoring and  
2 treatments. And then lastly, the lack of clarity  
3 and confidence that I felt with regard to the risk  
4 mitigation strategies.

5 DR. CICCARONE: Dan Ciccarone. I voted no.  
6 It is difficult in this historically egregious  
7 opioid epidemic that we're experiencing to approve  
8 a new combination opioid drug; just hard, hard to  
9 look at the benefits outweighing the risk  
10 environment that we're in. I do applaud the  
11 attempts at risk mitigation using short-term dose  
12 restricted packaging, the return program. But  
13 since no data was presented showing that they would  
14 actually work in the real world, it remains a  
15 theoretical protective mechanism. So on the idea  
16 of preponderance of doubt, 51 to 49 percent, I  
17 voted no.

18 DR. KOTZ: This is Maggie Kotz, and I voted  
19 yes for several reasons. One, I guess I took a  
20 look at this as another opioid choice in terms of  
21 weighing risk versus benefit. I didn't feel like  
22 the risk was any greater than what it was being

1 compared to, the other hydrocodone-acetaminophen  
2 products on the market. I did share the concern  
3 about the abuse liability, however, again, just  
4 from the data and my clinical experience, I don't  
5 think the promethazine in this was going to make a  
6 big difference in its abuse liability.

7 I think that postmarketing is going to be  
8 extremely important. And as I mentioned, I don't  
9 think that the return program has any value at this  
10 point, but my understanding is this will be subject  
11 to the same REMS protocols that all the other  
12 opioids available are subject to.

13 In terms of the promethazine being compared  
14 to the other antiemetic ondansetron, I didn't have  
15 the data to compare how that's being used, how many  
16 doses are being used and whether it's being  
17 co-prescribed in a certain way, so I wasn't  
18 comparing promethazine to that.

19 The thing that allowed me to vote yes  
20 actually is the FDA's recommendation that the  
21 indications were changed to those who are prone to  
22 the OINV, and also that my understanding that when

1 this is prescribed, it won't be prescribed in the  
2 sense that you have to take everything that's in  
3 the package, but indeed that it will, from my  
4 understanding, be prescribed as you need it. So  
5 for me, there was more flexibility than I felt the  
6 discussion concluded.

7 DR. MICHNA: Ed Michna. I voted no, and I  
8 really wasn't concerned so much about the  
9 promethazine. It was the clinical reality of how  
10 this drug was going to be used. For this  
11 indication, I don't think they thought out this  
12 very well. To have a single dose with fixed dosing  
13 in the environment that we're in, it just doesn't  
14 make sense. That's just not the way we treat  
15 patients clinically.

16 If they wanted to go back and do some data  
17 on taking a half of tab or develop other doses that  
18 have some flexibility here, that makes more sense.  
19 But I think this drug, while a good idea, wasn't  
20 really well thought out in terms of how we use  
21 these drugs clinically.

22 DR. PORTER: Laura Porter. I voted no. I

1 didn't see any studies comparing pre-medication  
2 with antiemetics prior to opioids to this new drug,  
3 so in my opinion, it wasn't shown superior over the  
4 pre-medications. I also have a problem with new  
5 opioids being brought to the market with just  
6 slight changes in them. Also, I believe the  
7 benefits do not outweigh the risks of another  
8 opioid on the market.

9 DR. BATEMAN: Brian Bateman. I voted no.  
10 My concerns were around having patients incur the  
11 risks of high rates of drowsiness, confusion,  
12 syncope, and lower blood pressure, and potentially  
13 even respiratory depression associated with the  
14 addition of promethazine when it's being used in a  
15 prophylactic fashion such that many patients are  
16 potentially exposed to that medication  
17 unnecessarily. These side effects could be  
18 particularly problematic in older and frail  
19 patients and patients on concomitant medications as  
20 we discussed in the panel, which were  
21 underrepresented in the clinical studies.

22 I was also concerned about the medication

1 was only formulated at 7.5 milligrams of  
2 hydrocodone, which is higher than the typical  
3 starting dose of 5 milligrams. I think the  
4 dose-limited packaging is an important innovation  
5 and something that could potentially have real  
6 value. I note that the minimum package quantity  
7 was 18, and I think that number might be on the  
8 high side for what we would think of as the lowest  
9 possible dose for many indications like dental  
10 surgery, minor musculoskeletal injuries where maybe  
11 just 5 or 10 tablets would suffice.

12 I think the buyback program is also  
13 potentially a very valuable innovation, but I share  
14 the concerns of the rest of the panel that it was  
15 underdeveloped.

16 DR. HIGGINS: Jennifer Higgins. I voted no  
17 for the reasons I stated previously.

18 MS. ROBOTTI: Suzanne Robotti. I voted no,  
19 and I stated the reasons before. But I do want to  
20 point out that on the sponsor's slides, slide C-14,  
21 was the research statement, "Patients are willing  
22 to give up pain relief to avoid OINV." The U.S.

1 uses opioids much more than other countries. I  
2 don't see why we should be encouraging opioid use  
3 and making it easier to use. My primary problem  
4 with this drug combination is that combining the  
5 drugs offers no benefit from keeping them separate  
6 and could cause harm by overprescribing. The risks  
7 do not outweigh the benefit.

8 Just to clarify on the packaging issue, I'm  
9 not worried about the abusers. I'm worried about  
10 the misusers. I'm worried about those who have  
11 limited English skills or non-English literacy, so  
12 the visual packaging is very important. The words  
13 have to be very simple and very clear on the  
14 packaging because that's where misuse happens, and  
15 that's just not fair to anybody. Thanks.

16 DR. GALINKIN: Jeff Galinkin, and I voted  
17 no. When we think about promethazine, it was -- we  
18 did these studies. The FDA has requirements for  
19 bioequivalence, and that's how our PK was  
20 established for these in single-dose fashion. But  
21 promethazine was approved in 1951 before current  
22 federal regulations required multidose type

1 examinations.

2 We really don't use promethazine 6 times a  
3 day for 2 weeks at a time, and I'm concerned that  
4 they didn't do multidose PK or safety, particularly  
5 in at-risk populations such as obese patients or  
6 patients with sleep apnea. And they didn't look at  
7 patients who would be at risk also with the CYP2D6  
8 metabolizers and whether that 5 to 10 percent of  
9 the population may have higher risks of side  
10 effects.

11 I also worry as a pediatric provider with  
12 this trickle down to kids. We in general in  
13 pediatrics have been moving away from fixed  
14 multidose products to single-dose products that are  
15 made specifically for each indication, and I  
16 personally prefer that type of regimen as opposed  
17 to doing multidrug combinations.

18 DR. MORRATO: Elaine Morrato. I also voted  
19 no, and like many have said, largely looking at it  
20 as a total benefit-risk balance. On one hand, I do  
21 agree, as we heard in the open public forum and  
22 from others, that nausea and vomiting is a serious

1 concern and consequence when you're thinking about  
2 pain management. I know it has consequences, but I  
3 was not sold on this as being a novel indication  
4 given that these are already existing marketed  
5 drugs and thereby necessitating what is the value  
6 of the combination versus the existing individual  
7 ones.

8 Overall, I found a real disconnect with the  
9 evidence that was presented versus potential  
10 real-world use: the dose, the pattern of  
11 combination, why isn't it currently being used, how  
12 is that information factored into the design of the  
13 drug development program. And as I mentioned  
14 earlier, I would encourage the sponsors. The  
15 buyback does sound conceptually great, but it is  
16 really lacking. I would really encourage you.  
17 Many states nationally, DEA, are emphasizing  
18 takeback programs. All right, maybe they're not  
19 paid, but there are a lot of lessons learned in  
20 just trying to do simple takeback programs twice a  
21 year in a local community, much less trying to  
22 return investment. So I would encourage you to

1 keep looking at it, but it needs a lot more work.

2 On another thing, just because this might  
3 have impact for other drugs FDA is evaluating in  
4 terms of the postmarketing REMS evaluation, I was  
5 happy to see that in the REMS standards now are 6-  
6 12-month intervals initially of looking at the  
7 impact. In the sponsor's book, I think they were  
8 going to do a physician survey, so I would  
9 encourage that. I'm assuming at 6 and 12 months,  
10 we're not just doing surveys but really doing some  
11 rigorous drug utilization analysis similar to what  
12 the FDA presented to us today.

13 DR. McCANN: Mary Ellen McCann. I voted no  
14 for the reasons that have been stated before.

15 DR. LITMAN: Ron Litman. I voted yes. As a  
16 middle-aged weekend warrior, I've had more than my  
17 fair share of operations, and I have been caught in  
18 this awful feeling between pain relief and nausea  
19 and vomiting, so I certainly can sympathize. On  
20 the other hand, I sit here as a government  
21 representative where I'm giving consultation to the  
22 FDA, whose job is to protect the American public,

1 and it's really a tough job to say when is  
2 something to risky to put out there.

3 But overall, from everything I've read about  
4 this and heard here today, I do believe that the  
5 very high-level benefit-risk ratio is favorable. I  
6 think that physicians like Dr. Lorenc, who  
7 testified here, are going to jump on the bandwagon  
8 and give this to their patients. And you know  
9 what? The patients are going to let them know  
10 within a very short time whether they're tolerating  
11 the increased anticholinergic effects like  
12 drowsiness, or delirium, or whatever there was.

13 I do want to say a few caveats, though. I  
14 completely agree with Jeff Galinkin's comments, and  
15 I would hope that the FDA would look at additional  
16 data for other populations such as older  
17 populations or those with the ultra fast  
18 metabolizers of CYPD26. I think in my question to  
19 the sponsor before, I was not convinced that we  
20 didn't see the toxicity when patients take more  
21 than 6 pills a day, and I would be very interested  
22 if I were the FDA in seeing that data to see what

1 kinds of side effects they had.

2           Finally, I'm not really sure how this enters  
3 into the FDA's decision of whether or not to  
4 approve, but I'm a little concerned that even if  
5 it's a minority of intravenous drug abusers who use  
6 this in its form, there will be -- so promethazine  
7 is a highly caustic irritant to skin tissues, and  
8 there is no doubt in my mind that when drug abusers  
9 use it for intravenous intention, they don't always  
10 get it intravenously. They could get it  
11 intra-arterially or just subcutaneously, and we  
12 will see people's hands or arms falling off if this  
13 happens.

14           I don't know how that is to be monitored or  
15 if that's a consideration. There's a famous case,  
16 Wyeth v. Levine that went to the Supreme Court,  
17 where a woman did lose her arm because it was  
18 administered intra-arterially by accident. So I  
19 think it's inevitable that if it's used  
20 recreationally with IV use, that this will happen,  
21 probably very occasionally. Anyway, overall I  
22 thought the benefits outweighed the risks.

1 DR. MEISEL: Steve Meisel. I voted no  
2 because I felt that the risks in this case  
3 outweighed the benefits. A number of points here.  
4 One is we're asking people to take a drug to  
5 prevent the side effect of another drug, but in  
6 itself is causing more side effects. And pretty  
7 soon we'll have another drug proposed to us that  
8 will mitigate the adverse effect of the second drug  
9 and so on. That's not the way to practice medicine  
10 or do business here. That's a very dangerous  
11 slope.

12 The fact that this is a single fixed-dose  
13 combination -- yes, I know a 5-milligram is in the  
14 works and a 10-milligram is in the works, this sort  
15 of thing, but we know that cisplatin causes an  
16 awful lot of nausea and vomiting, but we don't see  
17 it packaged with ondansetron. We give it  
18 separately in order to allow flexible dosing and  
19 all sorts of other things, and that's what needs to  
20 be the case here.

21 It didn't come up before. A point I want to  
22 make here is that when people get sick to their

1 stomach from an oral narcotic, it isn't for every  
2 dose for their duration of therapy. Oftentimes  
3 it's the first dose, the second dose, and then by  
4 dose 3, 4, 5, their body's adjusted and they may  
5 not need an antiemetic. They may not feel like  
6 they need something through that period of time.  
7 So that calls for PRN dosing of antiemetic  
8 prophylactically or therapeutically early on as  
9 needed, but that may not be needed for prolonged  
10 periods, whether it's 5 days, 6 days, 10 days, or  
11 14 days. But if you use this drug, then you're  
12 going to get it for 14 days or whatever that time  
13 frame is, regardless.

14 So again, that flexibility just isn't there,  
15 and we're going to end up exposing people to  
16 medication that they otherwise don't need with all  
17 of the concomitant side effects.

18 I'm also unconvinced that there would be  
19 safety in the real world when it comes to drug  
20 interaction screening and drug interaction  
21 prevention, this sort of thing. There are an awful  
22 lot of people on drugs that will have additive and

1 maybe potentiating anticholinergic effects. Yes,  
2 you can build that into electronic health records  
3 as warnings, but those get overwritten, and we'll  
4 have all sorts of people in the real world with  
5 some serious anticholinergic effects because of the  
6 other medications they're on, and I'm not convinced  
7 that that's been well thought out.

8 Those are the reasons that I've got.

9 DR. CRAIG: Dave Craig. I voted no for many  
10 of the reasons the other committee members have  
11 already stated. I think in my opinion, it was the  
12 wrong choice of the antiemetic. I think that  
13 there's a reason why patients, who postoperatively  
14 get Zofran, for example, are on ondansetron as a  
15 choice versus other agents.

16 I teach a lot of lectures and things to  
17 students, and one of the things in one of my  
18 soapboxes is to avoid concomitant CNS depressants  
19 with opioids as much as possible. I think this is  
20 the opposite strategy.

21 I also have a lot of concerns about other  
22 trends, as Dr. Bateman had talked about with

1 Medicare, about looking at other opiate  
2 potentiators and the risks of using other CNS  
3 depressant drugs, and this could be one of them. I  
4 also have a lot of concerns about the fixed dosing  
5 schedule, which kind of flies in the face of  
6 individualized patient care. So those are some of  
7 my concerns.

8 DR. RAGHUNATHAN: Hi. This is Trivellore  
9 Raghunathan. This was a tough vote. I voted no.  
10 Mostly I can see the efficacy results, but I think  
11 that I was not convinced about the mitigation  
12 efforts and also the safety concerns that have been  
13 addressed.

14 Also, I think that the data that has been  
15 presented is such a limited population and the  
16 alternatives are not carefully evaluated, so it's  
17 kind of hard to judge, based on the data provided,  
18 whether or not this risk-benefit ratio offsets to  
19 vote in favor of this drug.

20 DR. SHOBEN: Abby Shoben. I also voted no.  
21 And I echo a couple comments that this actually was  
22 a very tough decision despite the seemingly

1 lopsided vote. For me, I was looking at the  
2 population level, considering the benefits to the  
3 population compared to the potential risks. And I  
4 think there is probably a slight risk as we  
5 discussed in question 3, a slight increase in risk  
6 over the comparison product, both in terms of  
7 potential abuse and misuse and in terms of the side  
8 effects to the individual patient.

9           So then the question is, does the benefit of  
10 prevention of this nausea and vomiting outweigh  
11 that? And to me, I didn't see evidence that this  
12 was a significant enough benefit to outweigh that  
13 increase in risk.

14           DR. WARHOLAK: This is Terri Warholak, and I  
15 voted no. One of the benefits of being on this  
16 side of the table is not many things haven't been  
17 said, so I voted no for many of the reasons that  
18 were noted before.

19           I think, in general, just the risk-benefit  
20 ratio compared to products that are already on the  
21 market and how they could be used in combination  
22 just didn't make sense to me, especially given the

1 potential unintended consequences on a population  
2 level.

3 DR. RUHA: Michelle Ruha. I voted no.  
4 Mainly, I really just don't favor adding in a drug  
5 to another drug that then gets dosed every time. I  
6 think that it has its own risk profile, and it also  
7 for many people isn't the preferred antiemetic. It  
8 would be to me a second line. I also think that it  
9 should be given as needed, and I agree that you may  
10 not need it with every dose of opioids. So those  
11 are the main reasons I voted no.

12 DR. CHOUDHRY: Niteesh Choudhry. I voted no  
13 for those same reasons.

14 DR. McCANN: Before we adjourn, are there  
15 any last comments from the FDA?

16 DR. HERTZ: I just want to thank everyone  
17 for their time. Some of you will be continuing on  
18 with us. We know that these committees have been  
19 requested to convene frequently, and we really  
20 appreciate the time taken from your busy schedules.

21 **Adjournment**

22 DR. McCANN: Thank you, panel members.

1 Please, for those of you especially who are  
2 leaving, take all your personal belongings with  
3 you. All material that's left on the table will be  
4 disposed of. We now adjourn this meeting. Thank  
5 you.

6 (Whereupon, at 12:26 p.m., the meeting was  
7 adjourned.)

8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22