

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

PERIPHERAL AND CENTRAL NERVOUS SYSTEM
DRUGS ADVISORY COMMITTEE (PCNS) MEETING

Thursday, April 19, 2018

8:00 a.m. to 11:45 a.m.

FDA White Oak Campus
Building 31 Conference Center
10903 New Hampshire Avenue
Silver Spring, Maryland

1 **Meeting Roster**

2 **ACTING DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Moon Hee V. Choi, PharmD**

4 Division of Advisory Committee and Consultant

5 Management

6 Office of Executive Programs, CDER, FDA

7

8 **PERIPHERAL AND CENTRAL NERVOUS SYSTEM DRUGS**

9 **ADVISORY COMMITTEE MEMBERS (Voting)**

10 **G. Caleb Alexander, MD, MS**

11 *(Chairperson)*

12 Associate Professor of Epidemiology and Medicine

13 Johns Hopkins Bloomberg School of Public Health

14 Center for Drug Safety and Effectiveness

15 Baltimore, Maryland

16

17

18

19

20

21

22

1 **Mark W. Green, MD, FAAN**

2 Professor of Neurology, Anesthesiology, and

3 Rehabilitation Medicine

4 Director of Headache and Pain Medicine

5 Vice Chair of Neurology for Professional

6 Development and Alumni Relations

7 Icahn School of Medicine at Mt Sinai

8 New York, New York

9
10 **David S. Knopman, MD**

11 Professor of Neurology

12 Mayo Clinic

13 Rochester, Minnesota

14
15 **Richard J. Kryscio, PhD**

16 Professor, Statistics and Biostatistics

17 University of Kentucky

18 Sanders-Brown Center on Aging

19 Lexington, Kentucky

20

21

22

1 **Chiadi U. Onyike, MD, MHS**

2 Associate Professor of Psychiatry and Behavioral
3 Sciences

4 Division of Geriatric Psychiatry and
5 Neuropsychiatry

6 Department of Psychiatry and Behavioral Sciences
7 The Johns Hopkins University School of Medicine
8 Baltimore, Maryland

9

10 **Joel S. Perlmutter, MD**

11 Elliot Stein Family Professor of Neurology

12 Professor of Radiology, Neuroscience, Physical
13 Therapy & Occupational Therapy

14 Washington University School of Medicine

15 St. Louis, Missouri

16

17

18

19

20

21

22

1 **PERIPHERAL AND CENTRAL NERVOUS SYSTEM DRUGS**

2 **ADVISORY COMMITTEE MEMBER (Non-Voting)**

3 **Mark Forrest Gordon, MD**

4 Senior Director

5 Clinical Development, Neurology and Psychiatry

6 Teva Pharmaceuticals

7 Malvern, Pennsylvania

8

9 **TEMPORARY MEMBERS (Voting)**

10 **Jane B. Acri, PhD**

11 Chief, Medication Discovery & Toxicology Branch

12 Division of Therapeutics and Medical Consequences,

13 National Institute on Drug Abuse

14 National Institutes of Health (NIH)

15 Bethesda, Maryland

16

17 **Danielle Boyce, MPH**

18 *(Patient Representative)*

19 Senior Research Data Analyst

20 Johns Hopkins University

21 Baltimore, Maryland

22

1 **José E. Cavazos, MD, PhD**

2 Professor of Neurology, Neuroscience, and
3 Physiology
4 Assistant Dean & Director, MD/PhD Program
5 University of Texas Health San Antonio
6 San Antonio, Texas

7
8 **Harriet de Wit, PhD**

9 Professor
10 Department of Psychiatry and Behavioral
11 Neuroscience
12 University of Chicago
13 Chicago, Illinois

14
15 **Richard P. Hoffman, PharmD**

16 *(Acting Consumer Representative)*
17 Pharmacist/Medical Writer
18 Hernando, Florida

19
20
21
22

1 **John Mendelson, MD**

2 Senior Research Scientist, Friends Research
3 Institute Founder and Chief Medical Officer
4 Ria Health
5 San Francisco, California

6
7 **Eluen Ann Yeh, MA, MD, FRCPC, Dip ABPN**

8 Associate Professor, Faculty of Medicine
9 University of Toronto
10 Director, Pediatric MS and Demyelinating Disorders
11 Program
12 Associate Scientist, Neurosciences and Mental
13 Health, SickKids Research Institute
14 Staff Physician, Division of Neurology
15 The Hospital for Sick Children,
16 Toronto, Ontario, Canada

17
18
19
20
21
22

1 **FDA PARTICIPANTS (Non-Voting)**

2 **Ellis Unger, MD**

3 Director, Office of Drug Evaluation I (ODE-I)

4 Office of New Drugs (OND), CDER, FDA

5

6 **Robert Temple, MD**

7 Deputy Director

8 ODE-I, OND, CDER, FDA

9

10 **Billy Dunn, MD**

11 Director, Division of Neurology Products (DNP)

12 ODE-I, OND, CDER, FDA

13

14 **Eric Bastings, MD**

15 Deputy Director

16 DNP, ODE-I, OND, CDER, FDA

17

18 **Teresa Buracchio, MD**

19 Clinical Team Leader

20 DNP, ODE-I, OND, CDER, FDA

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Dominic Chiapperino, PhD

Acting Director, Controlled Substance Staff

Office of the Center Director, CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	G. Caleb Alexander, MD, MS	12
5	Conflict of Interest Statement	
6	Moon Hee Choi, PharmD	18
7	FDA Opening Remarks	
8	Billy Dunn, MD	21
9	Applicant Presentations - GW Pharmaceuticals	
10	Cannabidiol Oral Solution (CBD-OS)	
11	Introduction	
12	Alice Mead	28
13	Unmet Need in Patients with	
14	Lennox-Gastaut Syndrome (LGS) and	
15	Dravet Syndrome (DS)	
16	Elizabeth Thiele, MD, PhD	32
17	CBD-OS Efficacy in LGS and DS	
18	Kevan VanLandingham, MD, PhD	37
19	CBD-OS Safety	
20	Stephen Wright, MD, PhD	45
21		
22		

C O N T E N T S (continued)		
	AGENDA ITEM	PAGE
1		
2		
3	Clinical Perspective CBD-OS	
4	Adjunctive Therapy in LGS and DS	
5	Orrin Devinsky, MD	54
6	Clarifying Questions	60
7	FDA Presentations	
8	Overview of Efficacy and Safety of	
9	Cannabidiol in Patients with	
10	Lennox-Gastaut Syndrome and	
11	Dravet Syndrome	
12	Natalie Getzoff, MD	80
13	Review of Liver Safety for Cannabidiol	
14	Lara Dimick-Santos, MD	85
15	Abuse Potential Assessment for	
16	Cannabidiol	
17	Katherine Bonson, PhD	98
18	Clarifying Questions	112
19	Open Public Hearing	126
20	Clarifying Questions (continued)	181
21	Question to the Committee and Discussion	184
22	Adjournment	192

P R O C E E D I N G S

(8:00 a.m.)

Call to Order

Introduction of Committee

1 DR. ALEXANDER: Good morning. I think we'll
2 get started. My name is Caleb Alexander, and I'm
3 chairing the committee and would like to welcome
4 all of you, sponsor, FDA participants here, and
5 members of the advisory committee, and those of you
6 that have joined us as guests.
7

8 I'd like to remind everyone to first silence
9 your cell phones, smartphones, and any other
10 devices that you've not already done so. And I'd
11 also like to identify the press contact, Sandy
12 Walsh and Michael Felberbaum. If you're present,
13 can you please stand? Thank you.
14

15 Once again, I'm Caleb Alexander. I'm the
16 chair of the Peripheral and Central Nervous System
17 Drugs Advisory Committee, and I'll be chairing this
18 meeting. I'll now call the meeting to order.
19 We'll start by going around the table and
20 introducing ourselves, and we'll start with the FDA
21
22

1 to my left and go around the table. Before we do
2 so, I just want to let everyone know that these
3 meetings are always informative and educational,
4 and I'd like to remind people that all of us are
5 smarter than any of us, so I'm looking forward to a
6 really good discussion.

7 One point of order that I want to mention
8 also with respect to the agenda is that following
9 the presentations from the FDA and the sponsor,
10 there's an opportunity for brief clarifying
11 questions. And I've learned from experience that
12 it's helpful if -- these moments really are moments
13 for clarifying questions for the party that's just
14 presented, so I'd like to avoid a lot of back and
15 forth during that period of questions, or back and
16 forth between the FDA and the sponsor, or using the
17 FDA's time to answer questions after the sponsor's
18 presentation or vice versa.

19 So thank you, and once again, let's begin
20 with introductions, and we can start with the FDA
21 to my left.

22 DR. UNGER: Good morning. I'm Ellis Unger.

1 I'm director of the Office of Drug Evaluation I in
2 the Office of New Drugs, Center for Drug Evaluation
3 and Research, FDA.

4 DR. DUNN: Good morning. Billy Dunn,
5 director of neurology.

6 DR. BASTINGS: Eric Bastings, deputy
7 director, neurology.

8 DR. BURACCHIO: Teresa Buracchio, clinical
9 team lead neurology.

10 DR. CHIAPPERINO: Good morning. Dominic
11 Chiapperino. I'm the acting director in the
12 control substance staff.

13 DR. CAVAZOS: Good morning. Jose Cavazos.
14 I'm professor and assistant dean at University of
15 Texas Health, San Antonio. I'm a clinician
16 scientist in epilepsy.

17 DR. PERLMUTTER: I'm Jose Perlmutter, a
18 professor of neurology, neuroscience, radiology, at
19 Washington University, School of Medicine in
20 St. Louis.

21 DR. KNOPMAN: I'm Dave Knopman. I am a
22 professor of neurology at the Mayo Clinic in

1 Rochester, Minnesota.

2 DR. CHOI: Moon Hee Choi, designated federal
3 officer.

4 DR. ALEXANDER: Caleb Alexander. I'm an
5 associate professor of epidemiology and medicine at
6 Johns Hopkins.

7 DR. GREEN: Mark Green, anesthesiology and
8 rehabilitation medicine, and director of headache
9 medicine at [inaudible - mic fades].

10 [Inaudible - mic off].

11 DR. YEH: Ann Yeh. I'm an associate
12 professor of child neurology at the University of
13 Toronto.

14 DR. KRYSCIO: Good morning. Richard
15 Kryscio, professor of statistics and biostatistics,
16 University of Kentucky.

17 DR. MENDELSON: John Mendelson. I'm a
18 professor at UCSF, but mainly I'm a chief medical
19 officer for Ria Health and a senior research
20 scientist at Friends Research Institute.

21 DR. ACRI: I'm Jane Acri. I'm chief of the
22 medication discovery and toxicology branch at the

1 National Institute on Drug Abuse.

2 DR. de WIT: My name is Harriet de Wit. I'm
3 a professor in the Department of Psychiatry and
4 Behavioral Science at the University of Chicago.

5 DR. BOYCE: I'm Danielle Boyce. I'm a
6 senior research data analyst at Johns Hopkins, but
7 more importantly, I'm the patient representative.
8 I have a little boy with severe epilepsy.

9 DR. HOFFMANN: I'm Richard Hoffmann. I'm a
10 medical writer and pharmacist, and I'm the consumer
11 representative today.

12 DR. GORDON: Good morning. My name is Mark
13 Gordon. I'm senior director in clinical
14 development at Teva Pharmaceuticals.

15 DR. ALEXANDER: Great. Thank you.

16 Dr. Temple, do you want to introduce
17 yourself?

18 DR. TEMPLE: Hi. Bob Temple. I'm deputy
19 director of ODE I.

20 DR. ALEXANDER: Terrific.

21 For topics such as those being discussed at
22 today's meeting, there are often a variety of

1 opinions, some of which are quite strongly held.
2 Our goal is that today's meeting will be a fair and
3 open forum for discussion of these issues and that
4 individuals can express their views without
5 interruption. Thus, as a gentle reminder,
6 individuals will only be allowed to speak on the
7 record if recognized by me. We look forward to a
8 productive meeting.

9 In the spirit of the Federal Advisory
10 Committee Act and the Government in the Sunshine
11 Act, we ask that advisory committee members take
12 care that their conversations about the topic at
13 hand take place in the open forum of the meeting.
14 We are aware that members of the media are anxious
15 to speak with the FDA about these proceedings.
16 However, FDA will refrain from discussing the
17 details of this meeting with the media until its
18 conclusion. Also, the committee is reminded to
19 please refrain from discussing the meeting topic
20 during breaks or lunch. Thank you.

21 Now I'll pass to Moon Hee Choi, who will
22 read the Conflict of Interest Statement.

1 government employees or regular federal employees
2 who have potential financial conflicts when it is
3 determined that the agency's need for a special
4 government employee's services outweighs his or her
5 potential financial conflict of interest or when
6 the interest of a regular federal employee is not
7 so substantial as to be deemed likely to affect the
8 integrity of the services which the government may
9 expect from the employee.

10 Related to the discussions of today's
11 meeting, members and temporary voting members of
12 this committee have been screened for potential
13 financial conflicts of their own, as well as those
14 imputed to them, including those of their spouses
15 or minor children, and for purposes of 18 USC
16 Section 208, their employers. These interests may
17 include investments, consulting, expert witness
18 testimony, contracts, grants, CRADAs, teaching,
19 speaking, writing, patents and royalties, and
20 primary employment.

21 Today's agenda involves discussion of new
22 drug application, NDA 210365, cannabidiol oral

1 solution, sponsored by GW Pharmaceuticals, for the
2 adjunctive treatment of seizures associated with
3 Lennox-Gastaut syndrome or Dravet syndrome in
4 patients 2 years of age and older.

5 This is a particular matters meeting during
6 which specific matters related to GW
7 Pharmaceuticals NDA will be discussed. Based on
8 the agenda for today's meeting and all financial
9 interests reported by the committee members and
10 temporary voting members, no conflict of interest
11 waivers have been issued in connection with this
12 meeting. To ensure transparency, we encourage all
13 standing committee members and temporary voting
14 members to disclose any public statements that they
15 have made concerning the product at issue.

16 With respect to FDA's invited industry
17 representative, we would like to disclose that Dr.
18 Mark Gordon is participating in this meeting as a
19 nonvoting industry representative, acting on behalf
20 of regulated industry. Dr. Gordon's role at this
21 meeting is to represent industry in general and not
22 any particular company. Dr. Gordon is employed by

1 Teva Pharmaceuticals.

2 We would like to remind members and
3 temporary voting members that if the discussions
4 involve any other products or firms not already on
5 the agenda for which an FDA participant has a
6 personal or imputed financial interest, the
7 participants need to exclude themselves from such
8 involvement, and their exclusion will be noted for
9 the record. FDA encourages all other participants
10 to advise the committee of any financial
11 relationships that they may have with the firm at
12 issue. Thank you.

13 DR. ALEXANDER: Thank you. We'll now
14 proceed with the FDA's introductory remarks from
15 Dr. Billy Dunn.

16 **FDA Opening Remarks - Billy Dunn**

17 DR. DUNN: Thank you very much, Dr.
18 Alexander.

19 Good morning to you all. Welcome to all our
20 committee members, guests who have traveled here,
21 and all the folks who are joining us by electronic
22 means for this important meeting. I want to thank

1 the committee for all your willingness to be here,
2 your eagerness to consider the important topics we
3 will discuss today, and your forthrightness in
4 sharing with us your perspectives on the
5 application under consideration.

6 I want to especially thank the public
7 attendees, both in person here with us today and
8 those that are joining us by audio or video
9 broadcast, for their commitment to developing safe
10 and effective treatments for Dravet syndrome and
11 Lennox-Gastaut syndrome. I particularly want to
12 note and thank those affected by Dravet syndrome or
13 Lennox-Gastaut syndrome who are joining us today.

14 For those of you who have requested an
15 opportunity to address the committee or who have
16 provided written comments for the committee, we
17 look forward to and are deeply appreciative of your
18 input. Your efforts to be here are invaluable and
19 tremendously appreciated. Thank you.

20 We are here today to discuss cannabidiol for
21 the treatment of seizures associated with Lennox-
22 Gastaut syndrome and Dravet syndrome. There is a

1 significant unmet medical need for new treatments
2 for these conditions. Although there are six drugs
3 approved specifically for the treatment of seizures
4 in patients with Lennox-Gastaut syndrome, there are
5 no drugs approved specifically for the treatment of
6 seizures in Dravet syndrome.

7 Both syndromes are characterized by elevated
8 mortality rates, developmental impairment, episodes
9 of status epilepticus, and multiple seizure types
10 that are generally refractory to many of the drugs
11 typically used for the treatment of seizures. We
12 are highly sensitive to the urgent need for the
13 development of new treatments for both of these
14 severe epilepsy syndromes.

15 Before briefly describing some of the issues
16 we will ask you to discuss today, I want to stress
17 that we have not made any final decisions on the
18 approvability of this application. With that said,
19 as you have seen in the background materials for
20 this meeting, we have largely concluded the primary
21 portion of our review process and have not
22 identified any obstacles to approval. The reason

1 we are here today is to gain your input into some
2 of the issues we have confronted during our review
3 process so that we may incorporate it into our
4 ultimate decision on approvability.

5 As will be discussed in detail today during
6 the presentations you will hear, cannabidiol is a
7 cannabinoid prepared from the Cannabis sativa
8 plant. It is structurally unrelated to other drugs
9 approved for the treatment of seizures.

10 Cannabidiol is currently a Schedule I drug based on
11 its derivation from Cannabis sativa. The exact
12 mechanism of the anticonvulsant effect of
13 cannabidiol is unknown, but does not appear to
14 involve an interaction with cannabinoid receptors.

15 The applicant provides effectiveness and
16 safety data from three adequate and well-controlled
17 studies of conventional design and additional
18 safety data from other studies conducted during the
19 development program, along with an extensive
20 expanded access program that the sponsor has
21 supported.

22 The applicant also conducted focused

1 nonclinical and clinical studies to assess the
2 abuse potential of cannabidiol. These studies have
3 been reviewed in great detail by our staff, and key
4 points will be presented to you today by several
5 members of our primary review staff: Dr. Natalie
6 Getzoff, a clinical reviewer in the Division of
7 Neurology Products, who will discuss efficacy and
8 safety findings; Dr. Lara Dimick-Santos, a clinical
9 reviewer in the Division of Gastroenterology and
10 Inborn Errors Products, who will discuss our review
11 of liver safety; and Dr. Katherine Bonson, a
12 reviewer from the controlled substances staff who
13 will discuss the abuse potential assessment of
14 cannabidiol.

15 These presentations will highlight a number
16 of issues, including our conclusion that the
17 effectiveness of cannabidiol for the treatment of
18 seizures associated with Lennox-Gastaut syndrome
19 and Dravet syndrome has been demonstrated and that
20 the safety profile associated with cannabidiol
21 treatment appears acceptable for its intended use;
22 our detailed consideration of the liver toxicity

1 observed during clinical development, including its
2 association with concomitant use of valproate; and
3 our extensive assessment of the abuse-related data
4 that supports our finding that cannabidiol has
5 negligible abuse potential.

6 In addition to an opportunity to ask
7 clarifying questions following the presentations,
8 there will be time for additional committee
9 discussion before we ask you to cast a vote
10 indicating your impression of the benefit-risk
11 profile of cannabidiol for the treatment of
12 seizures associated with Lennox-Gastaut syndrome
13 and Dravet syndrome.

14 Again, no final decision has been made on
15 approvability, and we very much look forward to the
16 insights you will provide. We have convened this
17 committee because we feel that a final decision
18 requires your input and advice.

19 Thank you for the substantial efforts that
20 you have made in preparing for and attending this
21 meeting, and thank you for the important work that
22 you will do today.

1 Dr. Alexander, thank you very much for the
2 time to offer my comments to the committee. I
3 return the proceedings to you.

4 DR. ALEXANDER: Great. Thank you very much.

5 We will now move to applicant presentations.

6 Both the Food and Drug Administration and
7 the public believe in a transparent process for
8 information-gathering and decision-making. To
9 ensure such transparency at the advisory committee
10 meeting, the FDA believes that it is important to
11 understand the context of an individual's
12 presentation. For this reason, FDA encourages all
13 participants, including the applicant's
14 non-employee presenters, to advise the committee of
15 any financial relationships that they may have with
16 the applicant, such as consulting fees, travel
17 expenses, honoraria, and interest in the sponsor,
18 including equity interest and those based upon the
19 outcome of the meeting.

20 Likewise, FDA encourages you at the
21 beginning of your presentation to advise the
22 committee if you do not have any such financial

1 relationships. If you choose not to address this
2 issue of financial relationships at the beginning
3 of your presentation, it will not preclude you from
4 speaking.

5 We will now proceed with GW Pharmaceutical's
6 presentations.

7 **Applicant Presentation - Alice Mead**

8 MS. MEAD: Good morning. Mr. Chairman,
9 members of the advisory committee, and the FDA, I'm
10 Alice Mead, head of U.S. public policy and public
11 affairs at GW Pharmaceuticals. Thank you for the
12 opportunity to present our data on cannabidiol oral
13 solution, or CBD-OS, for the adjunctive treatment
14 of seizures associated with Lennox-Gastaut
15 syndrome, or LGS, and Dravet syndrome, or DS.

16 Cannabidiol, or CBD, is one of more than a
17 hundred cannabinoid molecules derived from the
18 cannabis plant. Each molecule has its own
19 pharmacology, and therefore potentially its own
20 therapeutic action. CBD and THC are the most
21 commonly derived cannabinoids, however, unlike THC,
22 CBD does not engage the cannabinoid CB1 receptors.

1 Therefore, unlike THC, CBD doesn't provide the high
2 that recreational users commonly seek from the
3 THC-containing cannabis plant.

4 Cannabidiol oral solution is comprised of
5 crystalline CBD, which has been purified from a
6 cannabis extract. The purity and potency are
7 assured through a quality management system that
8 includes adherence to the rigorous and exacting
9 good manufacturing practices applicable to
10 pharmaceutical products. All GMP manufacturing
11 processes are subject to preapproval inspection by
12 the FDA.

13 I'd like to describe the regulatory history
14 of CBD-OS. First, the active pharmaceutical
15 ingredient of CBD-OS demonstrated consistent
16 anticonvulsant effects in preclinical models of
17 generalized and partial seizures. Soon after,
18 physicians treating children with drug-resistant
19 epilepsy began requesting access to CBD-OS.

20 In 2013, the FDA responded by authorizing a
21 number of physician-initiated expanded or
22 compassionate access programs, or EAPs. In 2014,

1 we opened an IND to conduct clinical studies. The
2 FDA granted fast-track designation to CBD-OS in
3 2014 and rare pediatric designations in 2017.

4 These designations were based on the recognition
5 that patients with LGS and DS suffer a significant
6 and disabling seizure burden, which persists even
7 when patients are taking many antiepileptic drugs
8 or AEDs.

9 We have now completed four controlled
10 clinical studies consisting of two pivotal studies
11 in LGS and a dose-ranging safety study in Dravet
12 followed by a pivotal study. As we'll show you,
13 adjunctive CBD-OS therapy met the primary endpoint
14 of reduction in seizure frequency in patients with
15 drug-resistant LGS and DS in all three consecutive
16 pivotal studies.

17 The data demonstrate that CBD-OS improves
18 seizure control in patients taking concomitant
19 AEDs. Overall, the benefit-risk profile of CBD-OS
20 is positive. The safety and tolerability are
21 consistent across studies and the risks can be
22 managed through the label and the medication guide.

1 Therefore, we propose the following indications:
2 CBD-OS for adjunctive treatment of seizures
3 associated with Lennox-Gastaut syndrome and Dravet
4 syndrome in patients age 2 years and older. Our
5 proposed dosing schedule is to titrate CBD-OS to an
6 initial target dose of 10 milligrams per kilogram
7 per day with further dose adjustments determined by
8 clinical response and tolerability up to
9 20 milligrams per kilogram per day.

10 Turning now to the agenda for the rest of
11 our presentation, first Dr. Elizabeth Thiele will
12 discuss the unmet need for additional antiepileptic
13 medications for patients with LGS and DS.
14 Dr. Kevan VanLandingham will then review the design
15 of our clinical studies and efficacy results.
16 Dr. Stephen Wright will review the safety data.
17 Dr. Orrin Devinsky will conclude the presentation
18 with his clinical perspective on the utility of
19 CBD-OS for his patients with LGS and DS, and
20 Dr. Volker Knappertz will moderate the Q&A session.
21 We also have additional experts to help answer
22 questions. All external experts have been

1 compensated for their time and travel.

2 Thank you. Now I would like to invite
3 Dr. Thiele to the lectern.

4 **Applicant Presentation - Elizabeth Thiele**

5 DR. THIELE: Thank you and good morning.
6 I'm Dr. Elizabeth Thiele, and I'm director of the
7 pediatric epilepsy program at the Massachusetts
8 General Hospital, where I take care of more than
9 1200 children, most with highly drug-resistant
10 epilepsy. I appreciate the opportunity to speak
11 with you today about the significant unmet need for
12 effective and well tolerated treatment options for
13 patients with Lennox-Gastaut syndrome and Dravet
14 syndrome.

15 These are two of our most difficult to treat
16 epilepsy syndromes. Although they are distinct
17 syndromes, LGS and Dravet share many similarities.
18 LGS and Dravet are both lifelong, highly
19 drug-resistant forms of epilepsy with poor
20 long-term prognosis due to repeated exposure to
21 seizures over time. Both syndromes are
22 characterized by multiple seizure types with tonic,

1 generalized tonic-clonic, and atypical absence
2 seizures occurring in both.

3 The typical patient takes a combination of
4 multiple antiepileptic drugs as well as
5 non-pharmacologic therapies. Unfortunately, even
6 with the available treatment options, it is
7 estimated that more than 90 percent of these
8 patients continue to have numerous, uncontrolled
9 seizures every day.

10 There are a few characteristics that
11 distinguish the two syndromes. In LGS, onset is
12 typically in children between the ages of 3 and
13 5 years of age and due to many different
14 etiologies. These children have multiple seizure
15 types, including drop seizures, which are
16 associated with the greatest clinical impact. Drop
17 seizures frequently lead to falls and injuries,
18 which can be severe and typically include atonic,
19 tonic, and tonic-clonic seizures. In fact, most of
20 these patients wear helmets or use wheelchairs to
21 minimize trauma from these seizures.

22 In Dravet syndrome, onset is in the first

1 year of life in otherwise healthy infants,
2 typically around 6 months into life with the first
3 seizure in the setting of a febrile illness.
4 Dravet is a genetic epilepsy caused by a mutation
5 in the SCN1A sodium channel gene. During the
6 second year of life, there is onset of mixed
7 seizure and cognitive plateauing or regression. In
8 these patients, convulsive seizures have the
9 greatest impact. These include clonic, tonic, and
10 tonic-clonic seizures. These also can lead to
11 falls and injuries.

12 In both syndromes, uncontrolled seizures put
13 patients at great risk for morbidity and mortality.
14 The majority of these patients also have severe
15 intellectual impairment. Studies in patients with
16 Lennox-Gastaut show that at least 75 percent
17 experience cognitive impairment within 5 years of
18 onset. Behavioral and psychiatric comorbidities
19 are also common in these patients, including
20 attention deficit hyperactivity disorder,
21 aggressive behavior, psychosis, and depression.
22 These can result from the seizures, the underlying

1 etiologies, as well as side effects of the
2 medications.

3 Most importantly, these patients also face
4 an increased risk of death compared to others with
5 epilepsy. Death can occur from a number of causes
6 ranging from drowning during a seizure, to status
7 epilepticus or prolonged seizures, to sudden
8 unexplained death in epilepsy or SUDEP. Because of
9 the multiple uncontrolled seizures, patients with
10 LGS and Dravet usually require full-time and often
11 lifelong support. At any moment of any day, a
12 patient could collapse or fall from an unexpected
13 seizure. Most families dedicate their lives to
14 providing 24-hour vigilance 7 days a week.

15 Parents feel they can never leave their
16 young child or adult son or daughter with LGS or
17 Drave unattended. This means that a parent won't
18 even take a shower until someone is there to keep
19 watch, and this vigilance continues after the child
20 goes to sleep. A recent study revealed that
21 82 percent of parents of children with Dravet sleep
22 with their child for fear of missing the convulsive

1 seizures, which frequently occur during sleep.

2 This does not stop when the patient reaches
3 adulthood. About 90 percent of patients require
4 some type of assistance in adulthood often beyond
5 what their families are able to provide. Many end
6 up in an assisted living facility or a nursing
7 home. And that is why the goals of therapy are to
8 reduce the frequency and severity of the seizures
9 these patients experience; minimize treatment
10 related side effects; improve the patient
11 condition; and improve daily functioning.

12 However, most of my patients are not
13 achieving these goals with currently available
14 therapies. This results in a considerable unmet
15 need for new treatment options in LGS and Dravet.
16 The reality is that most of my patients are taking
17 between 3 and 6 antiepileptic drugs every day.
18 These agents rarely provide sufficient seizure
19 control and are often accompanied by intolerable
20 side effects. While there are six options approved
21 for LGS, there are no drugs specifically approved
22 to treat Dravet syndrome. Therefore, we need new

1 classes of antiepileptic drugs that work
2 differently from our current options.

3 To conclude my presentation, in LGS and
4 Dravet, seizure burden remains high despite
5 treatment with multiple antiepileptic drugs.
6 Parents and caregivers live in constant fear of the
7 next seizure, which could cause serious injury or
8 even death. Thus, parents are desperate for new
9 ways to try to get control of their child's
10 seizures. As a physician, I want to be able to
11 provide a treatment that I know is not only
12 effective but also safe and well tolerated.

13 Thank you. I'll now turn the presentation
14 over to Dr. VanLandingham

15 **Applicant Presentation - Kevan VanLandingham**

16 DR. VanLANDINGHAM: Thank you, Dr. Thiele.

17 I'm Dr. Kevan VanLandingham, senior medical
18 director at GW. I'm a trained neurologist, an
19 epileptologist, and I served as medical monitor for
20 these studies. I will share the efficacy data with
21 you.

22 The efficacy evidence comes from three

1 consecutive, positive, randomized, double-blind,
2 placebo-controlled studies. All three demonstrate
3 that CBD-OS added to other AED therapy met the
4 primary endpoint of reduction in seizure frequency
5 in patients with inadequately controlled seizures
6 in Lennox-Gastaut syndrome and Dravet syndrome.

7 All three studies examined CBD-OS dosed
8 20 milligrams per kilogram per day compared to
9 placebo. The LGS study seen on the left,
10 study 1414, also evaluated the 10-milligram per
11 kilogram per day dose. All three studies used the
12 same 14-week design, where CBD-OS or placebo was
13 added to current AED therapy similar to the design
14 used for other AED approvals.

15 Following screening, patients were observed
16 for 4 weeks to establish their baseline 4-week
17 seizure rate. Patients meeting the protocol
18 specified seizure count thresholds were then
19 equally randomized to receive CBD-OS or placebo
20 added to the patient's baseline regimen of AEDs.

21 Patients were titrated to the target dose
22 during the first 2 weeks and then maintained on

1 that dose for 12 weeks. Thus, the overall
2 treatment period was 14 weeks, including the
3 12-week maintenance period. Following completion,
4 patients could enter the open-label extension
5 study.

6 All three pivotal studies used well
7 established epilepsy endpoints to evaluate
8 efficacy. The primary endpoint was the percent
9 reduction in drop seizures for LGS and convulsive
10 seizures for DS during the 14-week treatment period
11 compared to the 4-week baseline period. These
12 endpoints were chosen as they are associated with
13 the greatest clinical impact.

14 The key secondary endpoints followed the
15 same hierarchy for both LGS studies. The first was
16 a responder analysis in patients with a 50 percent
17 or greater reduction in drop seizures. The second
18 was percent reduction from baseline in total
19 seizure frequency. The third was an assessment of
20 Subject/Caregiver Global Impression of Change. The
21 DS study had only one key secondary endpoint,
22 50 percent or greater reduction in convulsive

1 seizures.

2 Now let's review the enrollment criteria.
3 Patients with LGS had to be 2 to 55 years old,
4 uncontrolled on current therapy, having at least 8
5 drop seizures over a 4-week span, and at least
6 2 drop seizures every week. Patients with DS
7 needed to be 2 to 18 years old, uncontrolled on
8 current therapy with at least 4 convulsive seizures
9 during the 4-week baseline.

10 First, I'd like to take you through the
11 Lennox-Gastaut data. Baseline demographics for
12 enrolled patients with LGS were balanced, mean age
13 was 15 to 16 years, most patients were Caucasian,
14 and about three-quarters were enrolled in the USA.
15 Disease characteristics and treatment were also
16 generally balanced and representative of this
17 population with inadequately controlled seizures.

18 These patients had a large number of drop
19 seizures, around 80 during the 4-week baseline
20 period. That's 2 to 3 drop seizures every day.
21 Total seizures ranged from 145 to 181 seizures
22 during the 4-week baseline period. That's more

1 than 5 total seizures every day. In each study,
2 patients were taking a median of 3 AEDs.

3 Turning now to the efficacy results for our
4 two LGS studies, both studies and both doses in the
5 1414 LGS study met the primary endpoint, providing
6 a statistically significant and clinically
7 meaningful reduction in drop seizures during the
8 treatment period. Three secondary endpoints were
9 prespecified and tested using a hierarchical
10 gate-keeping procedure.

11 The first was a 50 percent or greater
12 responder rate, which demonstrated a statistically
13 significant improvement for both studies and in the
14 LGS study 1414 at both doses. The next endpoint in
15 the hierarchy showed statistically significant
16 reductions in the frequency of total seizures for
17 both doses. This gives us confidence that there
18 was not an increased frequency of total seizures
19 while reducing drop seizures.

20 Finally, let's look at the Global Impression
21 of Change. The Subject/Caregiver Global Impression
22 of Change was used at the last study visit where

1 changes in overall condition were graded on a
2 7-point Likert scale. In each of the LGS studies,
3 the proportion of patients achieving an
4 improvement, or a score of 5, 6, or 7 on the Likert
5 scale as shown in the yellow highlighted box, was
6 in favor of CBD-OS over placebo. This difference
7 was statistically significant.

8 To further evaluate the consistency of the
9 treatment effect, other secondary endpoints were
10 also assessed. Seizure reduction thresholds of
11 25 percent and 75 percent were improved by the
12 addition of CBD-OS. Remember that these patients
13 face multiple seizures on a daily basis, and a
14 25 percent reduction in drop seizures is clinically
15 meaningful.

16 Twenty to 25 percent of patients on the 20-
17 milligram per kilogram per day dose achieved a
18 75 percent or greater reduction, more than double
19 the placebo rate. While there were no complete
20 responders during the treatment period, which
21 includes the titration phase, a small proportion of
22 patients on CBD-OS were seizure free during the

1 maintenance period.

2 Now I'd like to share the clinical results
3 from our study in children with DS. Study 1332
4 patients were younger than the LGS patients, as
5 would be expected based on disease onset in the age
6 inclusion criteria of 2 to 18 years. Most were
7 Caucasian and enrolled in the USA. Baseline
8 disease characteristics and treatment were also
9 generally balanced and representative of this
10 inadequately controlled population.

11 In line with the known pathology, the median
12 frequencies of convulsive and total seizures were
13 lower than in the LGS studies. These children had
14 a median of 12 to 15 convulsive seizures during the
15 4-week baseline period, which is about 1 every
16 other day. Median total seizures were 42 for
17 placebo and 24 for CBD-OS during the 4-week
18 baseline period or 1 to 2 seizures per day. The
19 median number of baseline AEDs was 3.

20 Turning now to the efficacy results,
21 study 1332B also met its primary endpoint with a
22 statistically significant reduction in convulsive

1 seizures during the treatment period. As shown on
2 the right, you can see the results for the 12-week
3 maintenance period, which represents the efficacy
4 once the target dose has been achieved.

5 Now let's review the key secondary endpoint
6 for this study, the 50 percent responder rate. We
7 observed a numerical improvement in the
8 prespecified 50 percent responder rate with a
9 p-value of 0.08, which did not meet statistical
10 significance. However, we did consistently see
11 greater achievement in seizure reduction thresholds
12 of 25 and 75 percent. In fact, some of the
13 children in the CBD-OS arm became convulsive
14 seizure free during the treatment period compared
15 to none in the placebo arm. Study 1332B
16 demonstrated a reduction in total seizures during
17 the treatment period compared to placebo.

18 Finally, let's review the Global Impression
19 of Change. As expected, based on the clinical
20 results, more caregivers reported improvement in
21 global impression of change if their child was on
22 CBD-OS. Almost two-thirds of CBD-OS patients

1 improved compared to only one-third on placebo.

2 These three positive studies allow us to
3 conclude that CBD-OS added to other AED therapy
4 reduces seizure frequency in patients with LGS and
5 DS. The seizure reductions achieved in these
6 studies are very important to patients, their
7 families, and physicians.

8 All three pivotal studies met their primary
9 endpoints. All three are supported by their
10 prespecified sensitivity analyses and secondary
11 endpoints. CBD-OS provided clinically meaningful
12 reductions in seizure frequency over placebo. In
13 these LGS and DS studies, about 40 percent of
14 patients had a 50 percent or greater reduction in
15 the number of drop or convulsive seizures when
16 adding CBD-OS.

17 Thank you. I now invite Dr. Wright to the
18 lectern.

19 **Applicant Presentation - Stephen Wright**

20 DR. WRIGHT: Good morning. I'm Stephen
21 Wright, senior medical advisor at GW. I have been
22 closely involved at all stages of the planning,

1 execution, and analysis of these CBD-OS studies. I
2 will review the safety and tolerability profile of
3 CBD-OS for these patients with drug-resistant
4 epilepsy. The data I will present demonstrate that
5 the identified risks are manageable through
6 labeling and the medication guide.

7 Overall, the safety database includes more
8 than 600 patients in GW-sponsored studies with 391
9 patients treated for at least one year. The
10 open-label extension trial represents patients who
11 continued from the randomized controlled trials
12 into long-term use. Approximately 97 percent of
13 patients from all controlled studies chose to
14 receive CBD-OS in this extension.

15 The expanded access program on the
16 right-hand side of the slide provides an additional
17 684 patients with drug-resistant epilepsy to the
18 safety database. This expanded access program is a
19 physician-led program where patients with no or
20 very few therapeutic options were treated with CBD-
21 OS in a clinical practice setting. Given the
22 rarity of these syndromes, this is a substantial

1 safety database.

2 This slide shows the similar overall safety
3 of CBD-OS in the two indications of Lennox-Gastaut
4 syndrome and Dravet syndrome. Given the
5 similarities, we will present the safety data for
6 both indications. As the all CBD-OS group
7 combined, we refer to this as pooled LGS/DS.

8 Looking at the all CBD-OS group, we see a higher
9 incidence of overall adverse events compared with
10 the placebo groups, and here are shown the most
11 common adverse events.

12 Those showing a clear difference between drug and
13 placebo, were somnolence, decreased appetite,
14 diarrhea, and fatigue.

15 Most adverse events were mild to moderate in
16 intensity. The incidence of several adverse events
17 was 13 percent in the all CBD-OS group and
18 5 percent in the placebo group. The most common
19 severe adverse events in the all CBD-OS group
20 compared with placebo were somnolence and
21 pneumonia.

22 Let's now look at serious adverse events.

1 The incidence of serious adverse events was higher
2 in the CBD-OS group than in the placebo group. The
3 most common serious adverse event in the all CBD-OS
4 was status epilepticus, pneumonia, increased
5 transaminases, convulsion, and somnolence.

6 Looking now at adverse events leading to
7 discontinuation, these were more common in patients
8 taking CBD-OS than in the placebo group, with
9 9 percent discontinuing CBD-OS therapy. The most
10 common adverse events leading to withdrawal were
11 raised hepatic transaminases, ALT and AST, and
12 somnolence.

13 Now looking at adverse events leading to
14 death, it's a tragedy for any parent or caregiver
15 when their child or patient dies, and as we've
16 heard from Dr. Thiele, patients with these
17 drug-resistant epilepsies have a relatively high
18 mortality rate. Recent studies suggest that the
19 overall death rate in this situation is
20 approximately 20 per 1,000 patient-years. The
21 mortality rate appears to be greater in patients
22 with multiple seizures and multiple comorbidities.

1 In the randomized controlled trials in the
2 open-label extension, there are 716 patient-years
3 of data, and in the expanded access program,
4 another 690 years, so regressively, we would
5 anticipate a number of fatal events in the patients
6 included in our clinical trials. Overall, 20 fatal
7 adverse events were observed in approximately 1400
8 patient-years.

9 In the LGS and DS controlled studies, a 17-
10 year-old man with a history of status epilepticus
11 and aspiration pneumonia experienced a fatal event
12 of acute respiratory distress syndrome in the all
13 CBD group. In the open-label extension trial,
14 7 patients of the 644 over a prolonged exposure had
15 a fatal adverse event. There were 2 cases of
16 sudden unexpected death in epilepsy, and the other
17 5 were various event terms.

18 In the expanded access program, 12 patients
19 had a fatal adverse event, and the fatal adverse
20 events represent a variety of individual preferred
21 terms, including 2 SUDEP and 1 status epilepticus.
22 These 20 fatalities are no greater than what would

1 be expected in this high-risk patient population,
2 and none of the fatal adverse events were
3 considered related to treatment.

4 Next, I'd like to review elevated
5 transaminases. The incidence of raised ALT was
6 higher in the CBD-OS groups compared with the
7 placebo group. This imbalance was observed at 3
8 times, 5 times, and 8 times the upper limit of
9 normal as shown here. An elevated transaminase of
10 greater than 8 times the upper limit of normal was
11 one of the discontinuation criteria agreed with
12 FDA. Importantly, no patient met Hy's law criteria
13 in the development program.

14 Looking more closely at patients with a
15 clinically important 5 times increase of ALT, we've
16 identified two key risk factors for transaminase
17 elevations, concomitant use of valproic acid and
18 the CBD-OS 20-milligram per kilogram per day dose.
19 Overall, 13 percent of patients on concomitant
20 valproic acid and CBD-OS 20-mgs per kg per day
21 experienced a transaminase elevation of greater
22 than 5 times the upper limit of normal.

1 In addition, any elevation of baseline ALT
2 was associated with a 2-times higher likelihood of
3 a subsequent 5 times the upper limit of normal
4 increase of transaminases. Importantly, these
5 elevations occur predominantly during the first
6 30 days of exposure. Overall, the elevations were
7 transient and typically resolved quickly within
8 14 days. Discontinuing CBD-OS or valproic acid,
9 adjusting treatment, or most commonly treating
10 through appeared to resolve the elevations.

11 We believe the data demonstrate that the
12 safety and tolerability of CBD-OS are acceptable in
13 this patient population. Somnolence was the most
14 common adverse event reported, however, most were
15 mild or moderate, and few patients had to
16 discontinue CBD-OS.

17 To date, liver enzyme elevations have not
18 resulted in any severe liver injury, and the risk
19 of hepatotoxicity is manageable through labeling
20 and the medication guide. Monitoring of liver
21 function is recommended at baseline and
22 periodically during treatment to help minimize this

1 risk. And postmarketing enhanced pharmacovigilance
2 surveillance will further characterize the risk.
3 Finally, the long-term safety data from the
4 open-label extension and the expanded access
5 program is consistent with what we have seen in the
6 controlled clinical studies.

7 Now, turning to the question of abuse
8 liability, GW has investigated the abuse liability
9 of CBD-OS according to published FDA guidelines and
10 in discussion with FDA and the controlled
11 substances staff. In preclinical studies, CBD has
12 limited reinforcing effects and limited evidence of
13 self-administration, although it has not been
14 possible to exclude some abuse potential. In the
15 clinical trials program, 2 cases of potential abuse
16 or diversion were noted.

17 We've also conducted a human abuse liability
18 study comparing CBD-OS to placebo and to two
19 products, dronabinol and alprazolam, that are known
20 to have abuse liability. This study showed that
21 CBD-OS differentiated from both these active
22 comparators. I'm going to show these results

1 briefly.

2 The human abuse liability study was designed
3 in consultation with FDA. This was a randomized,
4 double-blind, double-dummy placebo and active
5 control crossover study in healthy recreational
6 polydrug users. The primary endpoint was mean drug
7 liking. The 3 doses of CBD-OS was 750, 1500, and
8 4500 milligrams, representing for an average person
9 a 10-milligram per kilogram, 20-milligram per
10 kilogram, and a 60-milligram per kilogram dose.
11 Ten milligrams and 30 milligrams of dronabinol, a
12 synthetic THC, and alprazolam 2 milligrams were
13 also included as active control arms.

14 Let me walk you through the primary endpoint
15 supporting a low abuse liability for CBD-OS. The
16 vertical axis describes how much the blinded study
17 drug was liked, where a score of 50 is neutral on
18 either liked nor disliked this drug, and anything
19 above 50 means the drug is liked.

20 The assessments were made over a 12-hour
21 period and are compared to placebo, which you can
22 see is at or around 50, representing neutrality.

1 You can see by the blue lines representing the 3
2 doses of CBD-OS, drug liking was slightly greater
3 for the two higher doses of CBD-OS compared with
4 placebo, but drug liking for CBD-OS was clearly
5 lower than for alprazolam and for both doses of
6 THC, indicating a lower potential for abuse
7 compared with these products. Although not shown,
8 these results were similar for all of the secondary
9 endpoints in this study.

10 Thank you. I'd like to invite Dr. Devinsky
11 to the lectern to present his clinical perspective
12 on these data.

13 **Applicant Presentation - Orrin Devinsky**

14 DR. DEVINSKY: Thank you. I'm the director
15 of the epilepsy center at NYU, and I appreciate the
16 opportunity to talk about my experience with CBD-
17 OS.

18 Over the last 30 years, I've treated more
19 than 25,000 adults and children with epilepsy and
20 have been involved in a number of clinical studies.
21 Many of my patients have drug-resistant epilepsies,
22 including Dravet syndrome and Lennox-Gastaut

1 syndrome. I was one of the original investigators
2 in the CBD-OS expanded access program and the lead
3 investigator in two of the three pivotal studies
4 we're discussing today.

5 I would like to emphasize that Lennox-Gastaut
6 syndrome and Dravet syndrome are two of the most
7 severe and relentless epilepsies. Both are
8 associated with very high rates of morbidity and
9 mortality. Approximately 20 percent of Dravet
10 patients will die before age 20, mostly from SUDEP
11 and status epilepticus. In LGS, the numbers are
12 not as well defined but are likely comparable.

13 In general, the greater the seizure burden
14 and severity, the greater the degree of
15 intellectual disability, psychiatric morbidity, and
16 the higher the frequency of accidental injury,
17 drowning, and other causes of death. It is
18 impossible to imagine the lives of these patients
19 and families. Parents live with the relentless
20 fear that at any moment their child may fall down
21 in a convulsive seizure, injure themselves
22 severely, have a prolonged seizure, or die in their

1 sleep. There is no respite from these fears. As a
2 medical community, we owe it to these patients and
3 families to identify new therapies and make them
4 available.

5 Data from the CBD-OS trials reveal that this
6 medication can reduce seizures and improve quality
7 of life for many of my LGS and DS patients and
8 their families. In LGS, those patients had drop
9 seizures reduced by 40 to 49 percent in the
10 maintenance period, a clinically robust reduction
11 that is statistically significant in the frequency
12 of these seizures when compared to placebo. In
13 Dravet, there was a 41 percent reduction in
14 convulsive seizures, again, a very clinically and
15 statistically significant reduction for these
16 children and young adults compared to placebo.

17 As a clinician and researcher, these results
18 are enormously meaningful in patients who are often
19 on three, four, or five additional drugs at a time,
20 drugs that have failed to sufficiently control
21 their seizures and drugs that have debilitating
22 physical, behavioral, and cognitive toxicities.

1 Here, looking across the three studies,
2 roughly a quarter of patients had their most
3 disabling seizures reduced by 75 percent or more.
4 The two studies on the left show the dramatic
5 reductions in drop seizures in LGS, and on the
6 right, the Dravet study shows the same potentially
7 life-altering reduction in convulsive seizures.
8 Many of my open-label treated patients were able to
9 lower concomitant antiepileptic drugs. Reduced
10 seizures and reduced medication and burden has
11 greatly improved the quality of life for many of my
12 patients.

13 The sponsor's proposed dose titration
14 schedule will allow us to manage patient response
15 on an individual level. Similar to current
16 clinical practice, patients will be dosed to an
17 initial target dose of 10 milligrams per kilogram
18 per day and then assessed for clinical efficacy,
19 safety, and tolerability. From there, we will have
20 the option to titrate up to 20 milligrams per
21 kilogram per day if clinically appropriate.

22 The safety profile of CBD-OS is quite

1 consistent across the LGS and DS trials. The drug
2 is generally well tolerated, side effects are
3 overall mild to moderate, and they're mostly CNS
4 and GI related, very similar to other antiepileptic
5 drugs, and they are usually transient. The
6 majority resolve by the end of the trial, and
7 unlike other currently available antiepileptic
8 drugs, behavioral and cognitive side effects were
9 very infrequent.

10 There is a potential for elevated hepatic
11 transaminases, which was more common among
12 individuals taking CBD-OS 20 milligrams per
13 kilogram per day as well as those taking
14 concomitant valproic acid. Most of these
15 elevations resolved within 14 days and most who
16 continued treatment returned to baseline over the
17 course of the study.

18 The safety profile of CBD-OS compared
19 favorably with many other medications I frequently
20 use in these children. Its management is
21 consistent with what I already do when I initiate
22 patients on a new antiepileptic agent. We

1 routinely check multiple lab parameters, including
2 liver function, and we repeat those measures at
3 appropriate intervals and when we make significant
4 adjustments to co-medication or increase the dose
5 of a new medication.

6 I'm very comfortable managing the
7 transaminase elevations since I see this all the
8 time with other antiepileptic drugs. The fact that
9 most of these elevations occur within 30 days and
10 almost all within 90 days reassures me that we will
11 capture and be able to manage the risk of
12 hepatotoxicity with routine clinical practice.
13 Therefore, the safety and tolerability profile of
14 CBD-OS will fit well into my practice and that of
15 my colleagues who routinely treat patients with
16 Lennox-Gastaut and Dravet syndromes.

17 In conclusion, there is a great need for
18 CBD-OS for patients with Lennox-Gastaut syndrome
19 and Dravet syndrome. These are incurable
20 conditions today. We palliate these patients. We
21 try to make their lives better. We do the best we
22 can to balance seizure control, and side effects,

1 and quality of life. And while CBD-OS won't work
2 for every patient, in my experience, it offers
3 clear benefits when considered in isolation, and
4 the benefits are even greater when considered
5 against the efficacy and side effect profile of
6 other antiepileptic drugs and when considered in
7 the context of these horrific and life-claiming
8 disorders.

9 Thank you for your attention. I will turn
10 the lectern over to Dr. Knappertz, the chief
11 medical officer of GW Pharma, to take questions.

12 **Clarifying Questions**

13 DR. ALEXANDER: Thank you. We'll now have
14 time for clarifying questions for the sponsor.
15 Once again, these are for the sponsor, not the FDA.
16 Please remember to state your name for the record
17 before you speak. And if you can, please direct
18 your questions to the specific presenter.

19 We're now joined also by our final advisory
20 committee member. If you'd like to just introduce
21 yourself briefly, please.

22 DR. ONYIKE: Yes. Forgive me for my

1 tardiness. My name is Chiadi Onyike from Johns
2 Hopkins University. I'm a neuropsychiatrist.

3 DR. ALEXANDER: Great. Thank you.

4 Questions for the sponsor? Richard
5 Hoffmann?

6 DR. HOFFMANN: I'm not sure who to direct
7 this question to, but there seems to be a
8 significant drug interaction between cannabidiol
9 and clobazam. The active demethylation metabolite
10 of clobazam along with cannabidiol is increased by
11 300 to 500 percent. So my question is, in these
12 three studies, did you notice any difference in
13 efficacy between patients who were receiving
14 clobazam along with cannabidiol and those that were
15 not?

16 DR. KNAPPERTZ: We did not see any important
17 interaction on the efficacy side in our clinical
18 trial program. The inhibition is the CYP2C19
19 inhibition, which metabolizes the
20 N-desmethyloclobazam, and then that becomes
21 enriched. What's important, we believe, is that
22 the exact efficacy of N-clobazam, the N-desmethyl

1 metabolite of clobazam, is unknown. It's purported
2 to be 20 percent of the effect of the parent, and
3 there is indeed, as you mentioned, a 3-fold
4 increase in the co-administration with CBD-OS.

5 We did not measure the metabolites in our
6 clinical trial program, but I believe Dr. Thiele
7 from Massachusetts General Hospital did measure
8 those and did look at the efficacy as it was
9 related, and the safety as it was related to her
10 patients in the expanded access program.

11 Elizabeth, please?

12 DR. THIELE: Hi. Elizabeth Thiele, Mass
13 General Hospital. As said, we did, through our
14 expanded access program, look at the interaction
15 between clobazam and CBD initially from a safety
16 perspective because when we started titrating the
17 patients up on CBD, we noticed that many of them
18 were becoming somnolent and lethargic. And we saw
19 that this definitely correlated with an increase in
20 desmethylclobazam levels. By reducing the clobazam
21 dose in all situations resulted in improvement in
22 the somnolence.

1 We also were very interested in looking at
2 was there an efficacy relationship with the
3 desmethyloclobazam level. We presented these
4 results last year at the American Epilepsy Society,
5 and we did not find a significant correlation
6 between efficacy and desmethyloclobazam levels.

7 DR. ALEXANDER: Great. Dr. Green?

8 DR. GREEN: I have two questions. The first
9 one, it was mentioned that there was no significant
10 affinity to CB1 receptor. Is there affinity for
11 the CB2 receptor?

12 DR. KNAPPERTZ: There's no significant
13 affinity to the CB2 receptor either. So neither
14 CB1 nor CB2 receptors are significantly bound by
15 the concentrations that we've studied and even by
16 supratherapeutic concentrations that we studied in
17 animal models.

18 DR. GREEN: My second one -- and I guess
19 it's probably unanswerable because of the few
20 patients -- was there a reduction in SUDEP related
21 deaths in the open-label trials?

22 DR. KNAPPERTZ: We did not see a reduction

1 in SUDEP related deaths.

2 DR. ALEXANDER: Great. I have a question
3 about the interaction with valproic acid, and I'm
4 just curious the mechanism for that. Maybe you
5 alluded to it, but I missed it. And I'm just
6 wondering what can one learn from that about the
7 likelihood of other potential drug-drug
8 interactions that haven't been identified.

9 I'm also interested, there was a comment I
10 think from Dr. Thiele that a lot of these patients
11 take non-pharmacologic treatments as well. So I'm
12 just wondering if you can speak a little further to
13 both how valproic acid may interact with this and
14 increase the risk of hepatotoxicity, but what we
15 can learn about this that would be relevant to
16 other potential DDIs.

17 DR. KNAPPERTZ: So with regards to the drug-
18 drug interaction on the additive effect on
19 transaminase elevation observed in our clinical
20 trials with CBD-OS, we did not observe a
21 pharmacokinetic interaction; that is we did not see
22 in our phase 1 studies, where we looked at VPA and

1 CBD-OS, an increase in the level of VPA.

2 I would like to ask Dr. Paul Watkins to talk
3 about his impression from some in vitro work that
4 was conducted on the potential mechanism of drug-
5 drug interaction, although caveating this, this is
6 in vitro work that is not easily translatable to
7 the in vivo or human situation.

8 DR. WATKINS: Yes, good morning. I'm Paul
9 Watkins, and I'm a clinically trained hepatologist
10 at University of North Carolina Chapel Hill, with a
11 longstanding interest in drug-induced liver injury.
12 I am compensated to be here today, but I have no
13 other interest, I believe, that are affected by the
14 outcome here.

15 The company has tried hard to find
16 mechanisms underlying the transaminase elevations.
17 And in terms of the valproate interaction, it is
18 likely that the effect is at the level of oxidated
19 phosphorylation. Both the parent CBD and the major
20 metabolite are known to inhibit enzymes in the
21 electronic transport chain. This is a reversible
22 inhibition; this is not damaging to the

1 mitochondria. Valproate is also known to interfere
2 with oxidated phosphorylation, so the most
3 plausible explanation is that both are affected,
4 oxidated phosphorylation.

5 Now, what other conditions or drugs might
6 also be an issue here is really under
7 investigation, but that is in my mind the most
8 likely mechanism.

9 DR. ALEXANDER: Thank you. Ms. Boyce?

10 MS. BOYCE: Hi. I'm the patient
11 representative, and I have a child with
12 drug-resistant epilepsy that we're talking about.
13 I first want to say thank you for capturing the
14 caregiver burden. I thought you did a terrific
15 job. Just this morning, I didn't exhale until I
16 received a text from my husband back in
17 Philadelphia that my child didn't die from SUDEP
18 overnight, and this is my every day, so thank you
19 for mentioning and addressing that.

20 My question is about valproic acid. I know
21 thousands of families who their kids take that.
22 It's very common practice, particularly for that

1 drug, to have your liver levels checked on a
2 regular basis, even more so than others. So I just
3 wanted to ask the neurologists if that's common
4 practice or just what I've observed because I think
5 that might address some of the concerns about the
6 hepatic concerns.

7 DR. KNAPPERTZ: I'm going to ask
8 Dr. Devinsky to address the question.

9 DR. DEVINSKY: Thank you. Orrin Devinsky
10 from NYU. That is a very common issue in epilepsy
11 care. Valproic acid is the anti-seizure medication
12 perhaps together with felbamate that has the
13 highest risk of hepatotoxicity. So traditionally
14 when we start patients on valproic acid, we try to
15 get baseline liver function tests, platelet levels,
16 and other measures, and then repeat them at some
17 intervals. It varies by practice. Our European
18 and Canadian colleagues do it much less frequently
19 than American neurologists, but typically a month
20 after starting and then perhaps 3 months and
21 6 months afterwards is a somewhat common routine.

22 I think from the presentations, it would be

1 clear if CBD is initiated, and especially in a
2 child on valproic acid, and especially at higher
3 doses, that liver tests should be obtained at
4 baseline before adding CBD-OS perhaps a month
5 later. I personally would advise colleagues if the
6 liver test elevation was present but modest, to
7 observe it and repeat it in 3 or 4 weeks later.
8 And that's what I did as a clinician on the
9 expanded access trial, where I had many patients in
10 the double-blind and open-label studies because
11 most of those in the vast majority of cases came
12 back to baseline to what they were before CBD-OS
13 was added.

14 So this is really a standard part of
15 epilepsy care. I feel very comfortable with it. I
16 think my colleagues like Dr. Thiele do similar
17 things in their practice every day with many
18 patients. This is not unanticipated and
19 unexpected.

20 DR. ALEXANDER: Thank you. Dr. Cavazos?

21 DR. CAVAZOS: Yes. I have two questions
22 that have to do with the effect of this medication

1 in populations that are different than just the 78,
2 82 percent Caucasians. One has to do with the
3 potential mechanism of effect that has to do with
4 the GPR55 and what do we know about polymorphisms
5 of this in different populations?

6 I do know, just looking at the literature,
7 that there are some differences in some Japanese
8 populations, for example. Has the company examined
9 the effect? And it could be also in
10 [indiscernible] channels. That's the other
11 potential mechanism to change excitability.

12 DR. KNAPPERTZ: To answer the question
13 directly, I am almost certain we have not examined
14 the effects on polymorphisms of GPR55, but I'm not
15 certain whether Professor Whalley has something to
16 add at this point. I'm going to ask Professor
17 Whalley to the microphone, our head of nonclinical
18 pharmacology and research.

19 DR. WHALLEY: Thank you. Ben Whalley,
20 director of research, GW Pharmaceuticals. It's an
21 excellent question. As two very novel and emerging
22 molecular targets, you're correct about the

1 presence of polymorphisms. However, the
2 physiological consequence of those and the
3 physiological consequence of the interaction of CBD
4 with those targets at this stage remains unknown.

5 DR. CAVAZOS: Is there any understanding
6 about chronic effects and sensitization and other
7 classic pharmacological changes with chronic use?

8 The last portion has to do with dosing.
9 Dosing or dispensaries and other formulations that
10 are out there have been done out of label in doses
11 that are dramatically different, much lower in
12 terms of 100-fold differences.

13 What do we know about the effects of your
14 medication at lower doses given the fact that many
15 neurologists are convinced that there is an effect
16 with formulations that are much lower?

17 DR. KNAPPERTZ: So we studied three doses,
18 5, 10, and 20 milligrams per kilogram per day. The
19 5-milligram dose was not assessed for efficacy but
20 assessed for safety, and the determination and the
21 choice for the doses for the pivotal trial came
22 from a data monitoring committee recommendation

1 after the dose-finding study based on safety was
2 completed.

3 So we do not have much efficacy data on
4 doses below 10 milligram. We do see encouraging
5 dose response in the 75 percent responders, and I
6 would like to show that data to be able to show you
7 the dose response that we find is important to
8 remember.

9 For those patients who have this highly
10 clinically significant response of over 75 percent
11 drop-seizure reduction in the 1414 2-dose study, we
12 show a nominally significant difference of
13 25 percent of the CBD-OS 20-milligram patients
14 attaining this and only 11 percent in the
15 10-milligram where there's only 3 percent in
16 placebo attaining this large reduction in seizure
17 frequency. So there is evidence for dose response.

18 We also have some evidence that during the
19 titration phase of all three studies, at stages 8
20 days into the titration, where barely the 10-
21 milligram dose was reached, there is significant
22 reduction in seizure frequency, and I'm going to

1 show you that data as well at day 8. So they just
2 have reached the 10-milligram dose, and there is a
3 notable reduction in seizure frequency.

4 So we do have some evidence that the onset
5 of action is early and happens at lower doses.
6 However, we do see the dose response that I pointed
7 out between the 10- and the 20-milligram arm, and
8 we believe that the 10-milligram dose is the
9 appropriate dose to titrate to at first, then to
10 hold at that dose and evaluate for efficacy,
11 safety, and tolerability at that time.

12 DR. ALEXANDER: Great. Thank you. We just
13 have a few more minutes. I heard one other
14 question there, which was do we know anything about
15 sensitization also or differences in responsiveness
16 to the product over time, which I think was the
17 question getting at long-term efficacy if I heard
18 correctly.

19 DR. KNAPPERTZ: Yes. We have systematic
20 data from the open-label extension study, and in
21 that open-label extension study, as I'm showing you
22 on this screen now, we have observed the patients

1 up to 48 weeks. And you can see that the effect is
2 robustly retained, albeit it's an open label, so
3 there's durability of effect. There's no
4 indication for tachyphylaxis.

5 At the far right side of the screen, we show
6 you the last 12 weeks because not all people in
7 this analysis had completed the 48-week period. So
8 even where you look at all 364 patients, you can
9 see that there is a retention of the reduction from
10 baseline and no indication for tachyphylaxis of
11 the effect.

12 DR. ALEXANDER: Mr. Hoffmann, if I could
13 just allow Dr. Mendelson a question because I don't
14 think we've heard from him, and then we may have to
15 move on. But we will have time for other questions
16 for the presentations.

17 DR. MENDELSON: Hi. Your abuse liability
18 study suggests a very low abuse liability, yet you
19 report two possible cases of abuse or diversion.
20 Maybe we should hear a little more about those.
21 Are they actually concerning or is it just someone
22 lost their medication? I would hate to see this

1 drug scheduled based on two case reports versus a
2 good abuse liability study.

3 DR. KNAPPERTZ: You're right. These are
4 based on a missing investigational product with no
5 adequate explanation. So we had an adjudication
6 committee that dealt with cases of potential abuse
7 or diversion, and this committee adjudicated these
8 two cases for us. The first case was likely a
9 therapeutic error resulting in an accidental
10 overdose without medical consequences. There was
11 one case which then was labeled a potential abuse,
12 and the second case has multiple instances of lost
13 IMP, which was ascribed by the committee as
14 potential abuse resulting from diversion.

15 DR. ALEXANDER: We'll keep going just for a
16 few more minutes. Mr. Hoffman, briefly, and then I
17 think Dr. Yeh.

18 DR. HOFFMANN: I had a brief question. If
19 somebody from the company could give a brief
20 overview of how this drug is manufactured, where is
21 the plant cultivated, is hemp the plant that's
22 used, and how do you extract the CBD and purify it?

1 Just briefly and without any trade secrets.

2 DR. KNAPPERTZ: We are growing the plants in
3 glasshouses and greenhouses that are computer
4 controlled, the temperature, humidity, and lighting
5 controlled. The plants are enriched for CBD and
6 decreased in THC through Mendelian breeding. Our
7 growth medium is controlled. It is devoid of heavy
8 metals and other contaminants. There are no
9 pesticides and no fungicides that are being used.
10 And there's dedicated quality control personnel and
11 oversight. Manufacturing is in accordance to good
12 manufacturing practices and consists of multiple
13 steps that then leads to the API.

14 DR. HOFFMANN: Is it the hemp that's used,
15 which is the variety of cannabis that has the
16 highest amount of CBD and the very lowest amount of
17 THC?

18 DR. KNAPPERTZ: It is a proprietary strain
19 of the cannabis sativa plant that we have created
20 through Mendelian breeding --

21 DR. HOFFMANN: And it's manufactured on your
22 site or at a university?

1 DR. KNAPPERTZ: We have done this in house
2 for 20 years.

3 DR. HOFFMANN: Okay. Thank you.

4 DR. ALEXANDER: I overlooked Dr. Perlmutter.
5 My apologies.

6 DR. PERLMUTTER: This would be directed, I
7 believe, to Dr. Wright and is a question about
8 treating through elevations of ALT. How long does
9 it take for the ALT to come back down, and is it
10 dependent upon concomitant to other antiepileptic
11 drugs?

12 DR. WRIGHT: Stephen Wright, senior medical
13 advisor at GW. Perhaps I should start by saying
14 there are certain rules governing what happens to
15 patients who have an elevated transaminase. If it
16 goes above 8 times upper limit of normal, they
17 should be removed from the study. In those cases,
18 those patients all resolve. In patients who go
19 above 5 times upper limit of normal, they're
20 observed, and if it remains above 5X, then they
21 should be removed from the study. In those
22 patients, all have resolved.

1 In those that go above 5X, perhaps I can
2 show you the slide which demonstrates this most
3 adequately I think. These are whose ALT was
4 elevated above the clinically important 5 times
5 upper limit of normal throughout the development
6 program. There are 38 dots on the slide.

7 You can see if you look along the horizontal
8 axis that the great majority of those resolve
9 within 14 days, and that resolution is independent
10 of the peak of the ALT. It's independent whether
11 they were taking valproic acid or not. And I think
12 that probably gives a quite reasonable answer to
13 your question, that resolution.

14 DR. PERLMUTTER: And how would the risk of
15 elevated ALT compare to adding valproic acid, for
16 example, which is the one we commonly check for?

17 DR. WRIGHT: The risk of getting an elevated
18 ALT on versus off is approximately 6-fold. If we
19 look at that on -- [inaudible - mic fades]. You
20 can see on the 20-milligram/kilogram dose, patients
21 on valproic acid, 13.2 percent rate of elevation.
22 On 10 milligrams per kilogram per day, 4.3, which I

1 think gives a good answer to the dose response, the
2 dose relationship of the transaminase elevation
3 compared with 1 percent on placebo, and the off VPA
4 rates are much lower. In fact on the 10-milligram
5 per kilogram dose, no patient got this elevation of
6 transaminases off valproate.

7 DR. ALEXANDER: Okay. We'll just do two
8 more hopefully brief questions and responses. Dr.
9 Yeh and Dr. de Wit, and then we'll move on.

10 DR. YEH: My question is actually very
11 brief. You had a certain percentage of patients
12 that withdrew from the drug. When you discontinued
13 the therapy, were there challenges with withdrawal
14 seizures or any catastrophic ICU stays or anything
15 like that?

16 DR. KNAPPERTZ: I am sorry. If I understand
17 your question correctly, this is about patients who
18 are withdrawing from the study and what happens to
19 them subsequently?

20 DR. YEH: No. Actually withdrawal of the
21 drug. In some patients that I've taken care of who
22 have withdrawn the drug due to lack of access,

1 there have been withdrawal seizures, so I'm just
2 asking a labeling question.

3 DR. KNAPPERTZ: All patients who withdraw
4 have subsequent safety follow-up, and there has
5 been no observation of increased seizure frequency
6 associated with withdrawal of CBD-OS.

7 DR. ALEXANDER: Dr. de Wit?

8 DR. de WIT: Could you comment on the
9 mechanism of action of the therapeutic effect?

10 DR. KNAPPERTZ: I'm going to ask Professor
11 Whalley to address the question of mechanism of
12 action, which he has studied in his laboratory at
13 Reading University extensively over the last 8 to
14 10 years.

15 DR. WHALLEY: Thank you. Ben Whalley,
16 director of research, GW Pharmaceuticals. This
17 could be a long story, but I'll give you the
18 headline summary in the interest of time. We
19 believe it's a multimodal mechanism of action
20 through our work in animal models and in vitro
21 models primarily involving the targets GPR55,
22 TRPV1, and ENT1, which is the endo

1 nucleotide transporter for adenosine. So it's a
2 multiple molecular target only to reduce neuronal
3 excitability.

4 Obviously, as we said at the
5 beginning -- Billy Dunn said at the
6 beginning -- the exact contribution of these
7 molecular targets to the effects in humans, for the
8 antiepileptic effect of CBD, remains to be fully
9 determined.

10 DR. ALEXANDER: Great. Thank you very much
11 to the sponsor and to the advisory committee for
12 those thoughtful queries. We'll now move to the
13 FDA presentations.

14 **FDA Presentation - Natalie Getzoff**

15 DR. GETZOFF: Good morning. My name is
16 Natalie Getzoff. I'm a medical officer and
17 neurologist in the Division of Neurology Products,
18 and I will be presenting an overview of the
19 efficacy and safety of cannabidiol in patients with
20 Lennox-Gastaut syndrome and Dravet syndrome.

21 I will begin with a summary of the efficacy
22 results. The application contained efficacy and

1 safety data from three adequate and well-controlled
2 trials, two in Lennox-Gastaut syndrome,
3 studies 1414 and 1423, and one in Dravet syndrome,
4 study 1332B. Additional safety data came from
5 three other sources, study 1332A, which was a
6 3-week, randomized, double-blind,
7 placebo-controlled dose-finding study in patients
8 with Dravet syndrome. This study was separate from
9 study 1332B; and study 1415, which is an open-label
10 extension study in patients with Lennox-Gastaut
11 syndrome and Dravet syndrome, and the expanded
12 access program, which consists of a number of small
13 investigational studies in refractory epilepsy
14 patients.

15 Here are the efficacy results of the trials
16 described in the applicant's earlier presentation.
17 FDA independently analyzed the efficacy data and
18 confirmed the study results that the applicant
19 reported earlier. As you can see from the table,
20 the median reduction in drop seizures or convulsive
21 seizures was significantly greater in the
22 cannabidiol groups when compared to placebo in all

1 three trials.

2 The differences between cannabidiol at both
3 doses and placebo were statistically significant
4 all favoring cannabidiol and demonstrating
5 efficacy. Sensitivity analyses yielded similar
6 results to the primary analysis in all three
7 trials.

8 Now I will present a brief summary of FDA's
9 analysis of safety data from the cannabidiol
10 development program primarily from the controlled
11 trials. As noted earlier, safety data was derived
12 from the controlled trials as well as from patients
13 enrolled in the open-label extension study and the
14 expanded access program. There was adequate
15 exposure to allow for the assessment of safety.

16 There was one death during the controlled
17 trials in a patient taking cannabidiol
18 20 milligrams per kilogram. This patient died from
19 acute respiratory distress syndrome, and the death
20 was not considered to be treatment related.
21 Nineteen deaths occurred in patients in the
22 open-label extension study or in the expanded

1 access program.

2 The most common cause of death in the
3 uncontrolled studies was sudden unexplained death
4 in epilepsy or SUDEP, which occurred in 4 patients.
5 Five patients had other seizure related deaths,
6 including one patient who had status epilepticus.
7 Overall, the causes of death were varied and not
8 unexpected for this patient population. None of
9 the deaths was clearly associated with the drug.
10 As expected in trials of an effective drug for
11 treatment of seizures, the rate of study
12 discontinuation associated with adverse events was
13 higher in patients taking cannabidiol than in
14 patients taking placebo.

15 This table presents the most common
16 treatment-emergent serious adverse events from the
17 controlled trials in Lennox-Gastaut and Dravet
18 syndromes. As the table indicates, serious
19 treatment-emergent adverse events, notably
20 somnolence and lethargy as well as infections,
21 occurred more frequently in patients taking
22 cannabidiol than in patients taking placebo.

1 Drug-induced liver injury occurred more
2 frequently in cannabidiol treated patients. This
3 manifested primarily as transaminase elevations
4 without concomitant bilirubin elevations. There
5 were no cases of liver failure, Hy's law, or death
6 due to liver injury. Although there were two
7 serious adverse events reported as hepatic failure,
8 a review showed that neither of these patients met
9 accepted criteria for liver failure because neither
10 had hyperbilirubinemia or elevated INRs. Dr.
11 Dimick-Santos will provide a more detailed
12 discussion of the liver findings in her
13 presentation.

14 This table includes a selection of
15 treatment-emergent adverse events that were
16 frequently seen in the controlled studies.
17 Hepatic, gastrointestinal, and central nervous
18 system adverse events particularly occurred at
19 higher incidences in patients taking cannabidiol
20 than in patients taking placebo. Infections and
21 rash were also more commonly seen in the
22 cannabidiol treated patients. The incidence of

1 seizures as adverse events in the cannabidiol and
2 placebo groups were similar.

3 There's an apparent dose response with some
4 adverse events such as transaminase elevations,
5 diarrhea, somnolence, and rash, but a dose response
6 was not seen for all adverse events. Overall, the
7 adverse event profile appears acceptable and
8 manageable with labeling and monitoring.

9 In conclusion, the results of the three
10 pivotal studies provided substantial evidence of
11 the effectiveness of cannabidiol for the treatment
12 of seizures associated with Lennox-Gastaut syndrome
13 and Dravet syndrome. The general safety profile
14 appears acceptable, and identified risks can be
15 managed with labeling and monitoring. At this
16 point in our review, we have not identified any
17 obstacles to approval.

18 Thank you. I'll now turn the presentation
19 over to Dr. Dimick-Santos who will discuss the
20 agency's analysis of the liver findings.

21 **FDA Presentation - Lara Dimick-Santos**

22 DR. DIMICK-SANTOS: Hello. I'm Lara Dimick

1 from the Division of Gastroenterology and Inborn
2 Errors Products at the FDA. I'll be reviewing the
3 data from the liver safety report that the sponsor
4 submitted, and this review has been done in
5 collaboration with Dr. Mark Avigan from the Office
6 of Pharmacovigilance and Epidemiology.

7 I will not re-review the design of the
8 clinical trials, the demographics, baseline
9 characteristics, or exposures, as this data has
10 already been presented. This presentation will
11 focus on the signal of liver injury seen with
12 cannabidiol. First, I want to go over some of the
13 baseline data.

14 As you can see here, normal liver
15 biochemistries were not required at baseline, and
16 in fact, transaminases could be elevated up to 5
17 times upper limits of normal. However, exclusion
18 of patients with significant elevations in total
19 bilirubin or INR excluded any patients with
20 significant underlying liver disease, and therefore
21 it is unknown how these patients would react to
22 this drug.

1 This next slide is just to remind you of the
2 modest size and duration of the placebo-controlled
3 trial data with only 540 patients exposed, however,
4 it is reasonable secondary to the rarity of these
5 diseases. Some liver safety data information was
6 also derived from other groups from the phase 1
7 studies and the expanded access program.

8 This table shows the frequency of the
9 biochemical monitoring during the
10 placebo-controlled trials. Similar monitoring was
11 included in the expanded access trials and the
12 long-term extension trials, and this frequency of
13 monitoring appeared to be appropriate to detect the
14 signal of liver injury in these patients.

15 There were protocol-defined withdrawal
16 criteria, as was discussed a little earlier, and
17 this was very similar to the entry criteria except
18 for the fact that the 5 times upper limits of
19 normal was an entry criteria, but here for a
20 withdrawal criteria, it had to last for more than
21 2 weeks.

22 This slide is just a little busy, but it's

1 just to show that about 20 percent of the patients
2 at baseline had mild elevations in their
3 transaminases with very few patients -- and again,
4 I don't really have a pointer to show it to you,
5 but very few patients having ALT elevations greater
6 than the 3 times upper limits of normal at
7 baseline.

8 Again, as has also been mentioned by several
9 presenters, most of these patients were on multiple
10 antiepileptic drugs at baseline with over
11 50 percent of patients on clobazam and over
12 40 percent of patients on valproic acid, both of
13 which have been known to cause acute elevations in
14 liver biochemistries and liver injury. But
15 remember, these drugs were in the background and
16 used continuously before the patients were
17 randomized.

18 Now I'll move on to the results of the
19 controlled trials. I will only briefly touch on
20 data from the expanded access program, however,
21 that was reviewed extensively in the sponsor's
22 liver safety data report.

1 As you can see, there was a clear CBD
2 related effect that caused ALT and AST elevations
3 across a range of strata above upper limits of
4 normal. The frequency of these aminotransferase
5 elevations was dose related. And if you focus on
6 the 20-milligram per kilogram group in the third
7 column over and the placebo group, you can see the
8 significance of significant ALT elevations above
9 3 times upper limits of normal, and the CBD 10-
10 milligram group is 1.5, and the 20-milligram group
11 is 16 percent, and then the placebo group less than
12 1 percent. However, as you go up to higher
13 elevations in ALT, 10 and 20 times, you see that
14 there are really no significant elevations greater
15 than 20 times, except one outlier in the placebo
16 group.

17 For those of you who are not familiar with
18 an eDISH plot, this is a tool that's designed to
19 show evidence of significant liver injury in data
20 sets from clinical trials. This graph shows the
21 peak values for each study subject in the pivotal
22 randomized trials with total bilirubin on the Y-

1 axis and ALT on the X-axis. Those treated with CBD
2 are marked by blue-colored stars and those on
3 placebo are by red diamonds.

4 The right upper quadrant is called the Hy's
5 law area and designates an area where total
6 bilirubin would be greater than 2 times upper
7 limits of normal and ALT greater than 3 times upper
8 limits of normal. This is the area we look at for
9 evidence of significant liver injury. As you can
10 see, there were no patients in this pooled DS/LGS
11 study and, not shown on this slide, there were also
12 none in the expanded access programs. There are
13 patients in the right-lower quadrant here, and
14 these are patients with elevations in ALT but
15 without significant elevations in total bilirubin
16 over 2 times upper limits of normal.

17 Next, I want to show you two cases, and this
18 first case is from a 12-year-old female with
19 Lennox-Gastaut syndrome in trial GEWP1414, and then
20 rolled over to the open-label extension. Her
21 baseline labs were normal, and in her background
22 treatment, she was taking concomitant clobazam,

1 valproic acid, ethosuximide, Keppra, and
2 multivitamins.

3 As you can see here, she was started on
4 placebo in the controlled trials. Then after
5 3 months here, she was started on cannabidiol. It
6 was tapered up to a 26-milligram per kilogram per
7 day dose, however, at about day 20 to 30 she became
8 symptomatic, she developed altered mental status,
9 and was hospitalized. That is right about here
10 when this peaks. And as you can see, here's total
11 bilirubin in the turquoise, ALT and AST in the red
12 and the blue. Her transaminases elevated all the
13 way up to an AST of 14 to 15 times upper limits of
14 normal and ALT about 10 times upper limits of
15 normal. And there was a slight increase in her
16 total bilirubin. It didn't reach that threshold of
17 2 times the upper limits of normal, but there is
18 definitely a bump.

19 Valproic acid levels were also high, and it
20 was stopped, at right about the peak, right there,
21 that second block, and the patient recovered. CBD
22 dose was adjusted down. It was tapered down.

1 However, it was never discontinued, and then as she
2 recovered, it was titrated back up. She continued
3 on the CBD and did not have any evidence of
4 recurrent liver injury. This case is adjudicated
5 by the sponsor as likely related to CBD and
6 demonstrates the multiple approaches that were
7 taken in the trial in response to the CBD-related
8 liver injury.

9 I want to show you one more case, and it
10 shows you a 28-year-old with Lennox-Gastaut
11 syndrome and mental retardation who was at baseline
12 on Topamax, valproic acid, clobazam, Dilantin, and
13 diazepam. Baseline liver chemistries were normal
14 except for a mildly elevated GGT. So this is the
15 run-in period and this is when drug is started, and
16 this is when it gets to its 20-milligram day dose.
17 But within about 2 weeks, the patient experienced
18 lethargy, elevations in the transaminases, and
19 right here at about the peak up at the top, on day
20 29, and then again on day 30, the clobazam dose was
21 decreased. However, on day 31, the patient
22 abruptly discontinued his CBD, his liver injury

1 symptoms resolved, and he went on -- and this case
2 was adjudicated by the sponsor as to be likely
3 related.

4 This next slide is to show time to onset of
5 liver injury. In general, most of the cases of
6 liver injury occurred early in the treatment course
7 within 30 days and the majority within 3 months.
8 There were hardly any new cases beyond one year.
9 The use of concomitant valproate influenced both
10 the incidence and the time to onset of the
11 drug-induced liver injury. The top line of this
12 graph, as you can see, shows people on concomitant
13 valproate at the 20-milligram per kilogram per day
14 dose, and you can see at 30 days the number of
15 cases, 60 days, and 90 days. But a few of these
16 cases did occur after that 90-day time point.

17 Here we're showing discontinuations of the
18 540 CBD patients in the controlled trials. Thirty-
19 seven patients had ALT elevations greater than 5
20 times the upper limits of normal, which is what we
21 consider evidence of a clinically significant liver
22 injury. Eighteen patients discontinued from drug.

1 On behalf of the sponsor, Dr. Watkins, a
2 hepatology expert who you met earlier, conducted an
3 unblinded review of the individual narratives of
4 all 37 patients, and he assessed that CBD
5 contributed to the ALT elevations in 35 of the 37
6 cases, and it was also possible for the remaining
7 two cases. We agree with this assessment, and we
8 will discuss what happened to the other patients
9 next.

10 Of those 37 patients from the controlled trials
11 with ALT greater than 5, 17 patients recovered
12 without or prior to stopping CBD; 12 patients
13 recovered without any dose reduction; and
14 5 patients recovered after dose reduction or during
15 taper. Eleven patients were rechallenged with CBD
16 after experiencing ALT or AST greater than 3 times
17 the upper limits of normal, which resulted in CBD
18 discontinuation for more than 2 days. Of these,
19 4 patients experienced recurrence of ALT/AST
20 elevations greater than 3 times normal; however,
21 the nature and characteristics of the recurrence
22 was not significantly different from the initial

1 elevations in terms of magnitude, time to onset, or
2 the continued absence of any significant hepatic
3 functional impairment. Seven patients did not
4 experience a recurrence in ALT elevation.

5 This is, again, a little bit of a busy
6 slide, and it's showing you the upper limits of
7 normal, and then valproic acid, yes/no, yes/no,
8 yes/no. And if you look here, there's a clear
9 association between valproate and CBD with an
10 increased frequency of ALT elevations. Patients
11 who were not taking concomitant valproic acid
12 exhibited ALT elevations greater than 5 times upper
13 limits of normal at zero percent in the
14 10-milligram per kilogram per day group and
15 2.5 percent in the 20-milligram per day group.
16 Only patients who were taking valproic acid in
17 combination with CBD experienced ALT elevations
18 greater than 8 times upper limits of normal.
19 That's in the pooled control trials.

20 Other contributing factors, felbamate and
21 clobazam potentially had some contributing factors
22 because they both are known to be associated with

1 elevations in liver chemistries and liver injury,
2 however, it was not clear from the control trial
3 data whether these were clinically significant.
4 Baseline elevations in liver chemistries however
5 were associated with subsequent increased
6 elevations in transaminases during the trials.
7 With the limited data, there was no significant
8 influence of age, underlying seizure, etiology on
9 the transaminase elevations.

10 Then just briefly, in the expanded access
11 programs, it was notable that the protocol CBD
12 stopping rules were inconsistently adhered to by
13 practitioners managing the patients. Several of
14 the patients had reduction in doses of other
15 concomitant medicines, especially valproate,
16 however, 30 patients had ALTs greater than 5, and
17 of these, 24 recovered prior to stopping CBD.

18 Conclusions. Our conclusion is that there
19 is a casual association with the use of CBD and ALT
20 elevations consistent with hepatocellular,
21 drug-induced liver injury. However, there were no
22 cases of severe liver injury, and no cases meaning

1 Hy's law criteria, and no deaths associated with
2 liver injury. There is a dose-response
3 relationship with higher frequency of liver
4 elevations and the 20 milligram per kilogram group.

5 No patients with baseline underlying
6 significant liver injury have been studied,
7 therefore, it remains unknown how these patients
8 might respond. The majority of patients with ALT
9 elevations greater than 8 times upper limits of
10 normal were discontinued from drug, therefore, it
11 is not known whether these patients may have
12 adapted or gone on to develop a worsening liver
13 injury.

14 Concomitant valproic acid was the most
15 frequently associated risk factor for CBD
16 associated drug-induced liver injury, and there is
17 a potential unknown for chronic liver injury.
18 There's not enough patients at this time exposed
19 for years to this drug to know whether some
20 patients might have a smoldering inflammatory
21 response that could potentially -- and I can only
22 say potentially -- cause a problem for them down

1 the line.

2 I want to acknowledge again Dr. Mark
3 Avigan's contribution to this review and the
4 presentation. Thank you very much. I am to
5 introduce the next person, who is Katherine Bonson,
6 and she will be discussing from the controlled
7 substances staff.

8 **FDA Presentation - Katherine Bonson**

9 DR. BONSON: Good morning. I'm Katherine
10 Bonson, the pharmacologist in the controlled
11 substance staff, and I'm going to be talking to you
12 today about the abuse potential assessment that we
13 did for cannabidiol CBD.

14 Under the FDA guidance from 2017 called the
15 Assessment of Abuse Potential of Drugs, all CNS
16 active drugs need to undergo an abuse potential
17 evaluation during drug development. CBD is
18 controlled under the Controlled Substances Act as a
19 Schedule I substance because it is a constituent of
20 a cannabis plant.

21 Under the current NDA, CBD is proposed for
22 the treatment of a CNS disorder. Thus, it was

1 necessary to conduct an abuse potential assessment
2 for CBD. During drug development, CSS, my group,
3 provided feedback to the sponsor regarding which
4 abuse-related studies in animals and humans would
5 be required as well as feedback on their
6 appropriate design.

7 So these are the studies that were done. We
8 asked about receptor binding, where the drug acts
9 neurochemically. We looked at behavioral studies,
10 and these were all done using animal doses that
11 produce plasma levels equivalent to or greater than
12 human therapeutic plasma levels, and these were
13 general behavior; the Irwin test; open-field test;
14 and rotorod test; the Tetrad test that evaluates
15 cannabinoid effects; drug discrimination that asks
16 does a test drug produce similar sensations to a
17 known drug of abuse; and self-administration, which
18 asks does a test drug produce rewarding properties
19 that produce reinforcement. We also looked at the
20 clinical studies in terms of the adverse events in
21 the clinical safety studies and a human abuse
22 potential study, a HAP study.

1 For the receptor-binding studies, there was
2 no significant affinity of CBD for either of the
3 cannabinoid receptors CB1 or CB2, and this is
4 unlike what happens with THC, which is the main
5 psychoactive constituent of cannabis. There was
6 also no significant affinity for other
7 abuse-related sites, including opioids, GABA,
8 dopamine, serotonin, NMDA, ion channels, or
9 transporters.

10 For the general behavioral studies, these
11 are conducted as safety studies for all drugs under
12 development, and in the Irwin test of general
13 behavior in mice, CBD produced a slight alteration
14 in gait and a decrease in pain response relative to
15 vehicle, but these were transient. In rats, there
16 were no changes in behavior.

17 In the open-field test of locomotion in mice
18 and in rats, CBD reduced locomotor activity at
19 moderate and high doses relative to vehicle, and in
20 the rotorod test of motor activity in rats, CBD
21 produced no changes in latency to fall off a slowly
22 rotating rod relative to vehicle.

1 The data from these general behavioral
2 studies show that CBD produces some CNS activity,
3 but only at relatively high doses. However, in
4 order to determine whether CBD produces
5 abuse-related CNS effects in animals, additional
6 preclinical studies were required that specifically
7 address abuse potential, and these are the Tetrad
8 test, drug discrimination, and self-administration.

9 The Tetrad test is a screening test that
10 measures changes in four behaviors that are known
11 to be produced by CBD, and these are a decrease in
12 locomotor activity, immobility, hypothermia, and
13 antinociception. CBD did not alter locomotor
14 activity, immobility, or antinociception, but it
15 produced a little hypothermia at the highest dose.
16 THC produced a decrease in locomotion as well as an
17 increase in hypothermia and antinociception, but
18 little immobility. This test shows that CBD does
19 not produce an overt behavioral profile associated
20 with a cannabinoid.

21 Then we had them do drug discrimination, and
22 drug discrimination is an experimental method of

1 determining whether a test drug produces physical
2 and behavioral responses that are similar to a
3 training drug with specific pharmacological
4 effects. Test drugs that produce a response
5 similar to a training drug with known abuse
6 potential are also likely to be abused by humans.

7 In rats trained to discriminate THC from
8 vehicle, CBD produced less than 46 percent
9 generalization to the THC queue and full
10 generalization is 80 percent. In rats trained to
11 discriminate midazolam from vehicle, CBD produced
12 less than 11 percent generalization to the
13 midazolam queue. These data suggests that CBD has
14 not produced sensation similar to THC or to a
15 benzodiazepine.

16 We then had them do self-administration, and
17 self-administration is a method that assesses
18 whether a test drug produces rewarding effects that
19 increase the likelihood of behavioral responses in
20 order to obtain additional drug. That's called
21 positive reinforcement. Drugs that are
22 self-administered by animals are likely to produce

1 rewarding effects in humans, and the ability of a
2 test drug to produce self-administration is
3 indicative that the drug has abuse potential.

4 In the study they conducted, animals were
5 trained to lever press for a rewarding substance.
6 Rats were trained with intravenous cocaine or
7 heroin in two separate studies, while monkeys were
8 trained with midazolam. After self-administration
9 of the training drug was stable, animals were
10 allowed intravenous access to the following
11 substances, which then produced varying degrees of
12 self-administration in terms of infusions per
13 session.

14 Cocaine produced the most. It was around 45
15 infusions in a session compared to CBD over a range
16 of doses that produced less than 10 infusions. In
17 midazolam trained animals, midazolam produced
18 around 13 infusions compared to CBD over a range of
19 doses, which was less than 1 infusion.

20 In heroin-trained animals, heroin produced
21 around 18 infusions compared to CBD over a range of
22 doses that was less than 7, and vehicle for all of

1 the three studies produced less than 5 infusions
2 per session. These data suggest that CBD produces
3 insufficiently rewarding properties to sustain
4 positive reinforcement.

5 As described in our guidance, following
6 completion of preclinical abuse-related studies,
7 the resulting data are evaluated to determine if
8 there are sufficient abuse-related signals to
9 justify the need for a human abuse potential, HAP,
10 study. Based on the preclinical studies evaluating
11 receptor binding, general behavior, similarity to
12 THC, and ability to produce rewarding effect, CBD
13 did not produce meaningful abuse-related signals in
14 rats and in monkeys.

15 The next step in an abuse assessment is to
16 examine the adverse event profile in clinical
17 studies to see if there's an abuse-related signal.
18 In the phase 1 clinical studies with CBD -- and
19 these evaluated pharmacokinetics -- patients who
20 were hepatically and renally impaired in studies
21 looking at the impact on sleep, there were no
22 reports of euphoria-related AEs. And this is the

1 primary way that we determine whether a drug might
2 get you high. No other abuse-related AEs were
3 reported in any of these studies, so the AE data
4 from phase 1 studies, which in this case does not
5 include the HAP study, do not show that CBD
6 produces signals of abuse.

7 The phase 2/3 studies are not really useful
8 for evaluating abuse signals related to CBD because
9 of the underlying neurological impairment in
10 patients and the presence of confounding drugs. So
11 specifically, the children in the studies are too
12 ill or too young to volunteer accurate information
13 regarding psychiatric or neurological AEs that are
14 indicative of abuse, and the children in all three
15 of the efficacy studies remained on their current
16 antiepileptic drugs.

17 Based on the assessment of abuse potential
18 of drugs, our guidance, a human abuse potential
19 study is not typically conducted when there is a
20 lack of a strong preclinical abuse-related signal
21 and when there is a lack of euphoria-related AEs
22 from clinical safety studies. However, given that

1 CBD is a Schedule I substance and that it can
2 produce sedative effects, FDA required that the
3 sponsor conduct a HAP study to provide additional
4 experimental evidence of whether CBD has meaningful
5 abuse potential in humans.

6 The HAP study. HAP studies evaluate the
7 ability of a test drug to produce positive
8 subjective responses in subjects compared to a
9 known drug of abuse and to placebo. Subjects in
10 HAP studies are individuals with a history of
11 recreational drug use but who are not drug
12 dependent. When the test drug produces
13 consistently large responses on positive subjective
14 scales that are far outside the acceptable placebo
15 range, it is likely that the test drug has abuse
16 potential.

17 The HAP study that was conducted with CBD
18 evaluated the oral abuse potential of 3 doses of
19 CBD, 750, 1500, and 45 [sic - 4500] milligrams, and
20 these represent the 2 therapeutic doses 10 and
21 20 milligrams scaled up for a 75-kilogram adult and
22 a supratherapeutic dose that represents 3 to 6-fold

1 greater than the therapeutic doses.

2 We also looked at dronabinol, which is
3 synthetic THC for humans, at 10 and 30 milligrams;
4 alprazolam at 2 milligrams; and placebo. This
5 study used a randomized, double-blind,
6 placebo-controlled, crossover design in healthy
7 recreational polydrug users with a history of
8 cannabis and benzodiazepine abuse, and there were
9 35 completers.

10 On the primary measure of the visual analog
11 scale of drug liking, which is a bipolar scale of 0
12 to 100 with 50 as neutral, dronabinol and
13 alprazolam produced statistically significantly
14 higher drug liking scores: 74, 87, and 79,
15 respectively, compared to placebo, which validates
16 the study.

17 CBD at the lower therapeutic dose of 750
18 milligrams produced a mean drug-liking score of
19 around 57, which did not differentiate
20 statistically from placebo on drug liking and was
21 within the acceptable placebo range of 40 to 60.
22 CBD at the two higher doses produced very small

1 increases in mean drug-liking scores that were
2 statistically significant from placebo, but these
3 scores, 61 and 64, are barely outside of the
4 placebo range.

5 On other positive subjective measures such
6 as the visual analog scale for take drug again,
7 good drug effects, high, and stoned, dronabinol and
8 alprazolam produced statistically significant
9 increases in mean scores ranging from 37 to 85 out
10 of 100 on unipolar scales, where 0 to 20 is the
11 acceptable placebo range in comparison to placebo.

12 CBD at 750 milligrams produced mean scores
13 of 10 to 22 that were not significant on these
14 measures. At the two higher doses of CBD, there
15 were small but significant increases in mean scores
16 14 to 42 compared to placebo on the visual analog
17 scales for take drug again, good drug effects,
18 high, and stoned. All of the subjective responses
19 to CBD, both the primary measure drug liking and
20 all of these secondary measures, were statistically
21 significantly less than those produced by either of
22 the positive controls.

1 After each session, subjects were asked how
2 much the test drug felt like any of the list of
3 drug classes. This was done on a scale of 0, not
4 like this drug class, to 100, very much like this
5 drug class. The means score show that, as
6 expected, dronabinol at both doses was identified
7 as THC, 58 and 91 out of a 100; alprazolam as
8 expected was identified as benzodiazepine 88 out of
9 100; and placebo as expected was identified as
10 placebo, 71 out of 100.

11 CBD at the two lower doses was not
12 identified as THC or any substance except for
13 possibly like placebo with 54 and 52 out of 100.
14 CBD at the highest dose was not identified as THC
15 or any substance at all less than 36 out of 100 for
16 any of the drug classes or placebo. It's
17 interesting because the lack of humans being able
18 to identify CBD as THC parallels the animal drug
19 discrimination study where animals did not indicate
20 that CBD produces THC-like sensations.

21 Then we turned to the abuse-related adverse
22 events in the HAP study, and dronabinol produced

1 high levels of euphoria, 31 to 63 percent;
2 alprazolam produced a low level of euphoria, around
3 8 percent; and CBD produces a similarly low rate of
4 euphoria, around 5 to 8 percent. Placebo produced
5 no euphoria. There were no other abuse-related
6 adverse events reported for any of the drug
7 treatments.

8 So the question that we wanted to ask was,
9 though, was the euphoria signal from CBD, which
10 ranged from 5 to 8 percent, indicative of abuse?
11 And when an individual analysis was done on these
12 subjects -- and remember, this is only 2 or 3
13 subjects out of 35 who completed -- out of the
14 subjects who had a euphoria-related AE following
15 administration of CBD, it turns out that
16 euphoria-related AEs did not predict a high score
17 on any of the positive subjective measures, and
18 conversely, a high score on positive subjective
19 measures for any subject did not predict a report
20 of a euphoria-related AE.

21 So our concern regarding euphoria-related
22 AEs does not appear to be valid since they were not

1 predictive of concurrent positive subjective
2 responses in the same subjects.

3 Our conclusions from the HAP study are that
4 CBD at the lower dose does not produce positive
5 subjective responses indicative of abuse, and CBD
6 at the two higher doses, which is the highest
7 therapeutic dose and the suprathreshold dose,
8 produced some statistically significant increases
9 in positive subjective responses, but these are
10 statistically significantly less than the increased
11 produced by alprazolam or dronabinol, and they were
12 often very close to the acceptable placebo range.
13 These two higher doses also produced much lower
14 levels of euphoria compared to dronabinol, and they
15 were either identified as placebo or no drug class
16 at all.

17 Our overall conclusions then about the abuse
18 potential of CBD is that the preclinical data do
19 not provide signals that CBD has abuse potential.
20 There were no abuse-related AEs in the phase 1
21 clinical study population outside of the HAP study.
22 The HAP study showed that the higher therapeutic

1 dose of 1500 milligrams and the supratherapeutic of
2 4500 milligrams produce marginal signals of abuse
3 potential from subjective measures and AEs. So we
4 see very little evidence that CBD has meaningful
5 abuse potential even at supratherapeutic doses in
6 adults. Thank you.

7 **Clarifying Questions**

8 DR. ALEXANDER: Thank you very much. I
9 think that concludes the FDA's presentations. And
10 if that's the case, we'll move for clarifying
11 questions for the FDA. These are for the FDA.

12 Mr. Hoffmann?

13 DR. HOFFMANN: I'd just would like the FDA
14 to clarify if this product is approved as a
15 prescription drug product, what will happen to the
16 status and the enforcement of regulations for all
17 the multitude of CBD products that are available
18 over the counter?

19 DR. CHIAPPERINO: We can't address that in
20 this committee meeting. Obviously, there will need
21 to be a scheduling action of some sort because
22 cannabidiol is currently controlled in Schedule I

1 and cannot remain there if it's going to be a
2 marketed drug product and prescribed. But these
3 considerations go up the chain. For the substance
4 cannabidiol, the decision is going to be going up
5 through FDA management and to the Department of
6 Health and Human Services and to DEA for some other
7 considerations in order for them to form any
8 decision about cannabidiol's control under the CSA.

9 DR. HOFFMANN: Just as a follow-up,
10 currently what are these products classified as?
11 They're not dietary supplements. They're not
12 dietary foods of any kind. What are they?

13 DR. CHIAPPERINO: I don't think that we can
14 address that here. They're not approved drug
15 products; that we can say. There are some marketed
16 as dietary supplements, and FDA remains vigilant
17 about considering products that require some
18 enforcement activities under the FDC&A.

19 DR. DUNN: And I would just like to add in
20 response to that question that the process that was
21 just described that would involve sister agencies
22 and such is a standard process for the scheduling

1 of drugs. It will be going through that normal
2 process as many drugs do.

3 DR. ALEXANDER: I have a question about the
4 transaminitis. As a general internist, it makes me
5 a little nervous thinking about treating through.
6 On the other hand, we saw data that was very
7 helpful and reassuring, I think, especially that no
8 patients, if I understood correctly, either
9 fulfilled criteria for Hy's law, experienced liver
10 failure, or died from liver failure. There was a
11 suggestion about or mention of we don't know if
12 people could have, quote/unquote, "smoldering
13 inflammatory response."

14 So the question is, what do we know about
15 other products, the history of other products that
16 the FDA has regulated, therapeutics? Products that
17 have transaminase elevation, what pans out with
18 these over many longer years of study with respect
19 to whether or not they ultimately turned out to be
20 benign or not so benign with respect to the impact
21 on the liver?

22 DR. AVIGAN: Hi. I'm Mark Avigan. I'm a

1 hepatologist, and it's an excellent question.
2 Generally, there's not a long-term problem. In
3 other words, with most of these hepatotoxic drugs
4 with patients who have adaptation, they resolve,
5 and the liver recovers, and then there is no
6 long-term consequence of continued maintenance.
7 There are of course some drugs that give a
8 different profile of injury such as methotrexate,
9 which would be a great example, where the effect,
10 over time of accumulation of exposure, actually can
11 potentially be fibrogenic.

12 I think in this case that's very unlikely
13 because we see a lot of patients with adaptation,
14 where they were treated through and there was
15 resolution, but there were some for whom the drug
16 was stopped. So this is more of a check box
17 question, that in long -- this is not a red flag;
18 it's just a check-box question, that in the long
19 run, patients on long-term treatment will just have
20 to be assessed from the point of view of the drug
21 development program. But I don't think it will end
22 up being an issue. It's more of the question of

1 what you do with an acute event and which patients
2 need to be stopped, what would be the stop rules
3 and so on.

4 DR. ALEXANDER: Thank you. Dr. Green?

5 DR. GREEN: We haven't spoken very much
6 about drug interactions, and cytochrome P450 3A4 is
7 pretty pervasive, and I understand this is
8 metabolized that way. And I also understand it's a
9 fairly potent peak-like or protein pump -- I don't
10 know if it's an inhibitor or a substrate, and
11 that's pretty pervasive.

12 Would there be any consideration in listing
13 these as potential drug interactions?

14 DR. AVIGAN: I think Dr. Watkins, who really
15 is an expert on many of these kinds of questions,
16 answered the issue quite well, which is that
17 they're potential mechanisms of toxicity where
18 there could be conceptually drug-drug interactions.
19 But we don't have an exact account for where the
20 intersections would be. Clearly, a finding of the
21 mitochondrial effect of the 7-carboxy metabolite of
22 CBD is important to know about because so many of

1 these patients are also on valproic acid with a
2 fluorine metabolite potentially intersecting.

3 So that would be, again, something of
4 concern, but I think that we have to be somewhat
5 open-minded about where these drug interactions
6 could be. And we would learn more about them as we
7 follow patients empirically by monitoring and
8 making clinical decisions about dose reductions or
9 stopping drugs when we get at a certain level of
10 threshold.

11 DR. ALEXANDER: Dr. Mendelson?

12 DR. MENDELSON: Yes. Hi. A wonderful
13 presentation on the abuse liability. I live in
14 California, and you can now go buy CBD at a store,
15 and the numbers, the amount projected in sales, are
16 up to a billion dollars by 2022. What are people
17 buying CBD for? I don't think they all have
18 seizures. What's the abuse -- does the FDA have
19 any signal, and should there be any concern about
20 monitoring not necessarily abuse liability of CBD
21 but other sales and other channels for safety?

22 DR. ALEXANDER: Is there a way to sharpen

1 that with respect to this application or the
2 question at hand for us?

3 DR. MENDELSON: I think it's more -- once
4 this product becomes available and it's sold, it's
5 going to compete with over-the-counter versions and
6 will maybe make the sale more likely or less
7 likely. It's unclear to me. It's actually
8 fascinating that many people are using this already
9 in huge numbers.

10 DR. CHIAPPERINO: I think that these
11 committee proceedings are to discuss the safety and
12 efficacy of this proposed product. Obviously,
13 there is a lot of interest in what's going on with
14 CBD in the states. These products are marketed for
15 a variety of things, and the FDA has issued warning
16 letters. And in several cases, many companies have
17 received some enforcement letters from FDA, but we
18 can't really consider the future and what might
19 happen.

20 DR. MENDELSON: I don't disagree with that,
21 but it's worth noting that there's so little abuse
22 liability in this population and informal abuse

1 liability studies.

2 DR. DUNN: Dr. Alexander, I'd also like to
3 point out that using the term "cannabidiol" loosely
4 like that might cause unfair comparisons or even
5 equivalence determinations with a pharmaceutical
6 product that is under our application review right
7 now, but presumably would be produced and marketed
8 if approved according to many manufacturing
9 regulations and quality assurance issues. So
10 cannabidiol here at this committee meeting today is
11 referring to pharmaceutical grade cannabidiol as
12 proposed by the applicant, which could be very
13 different than the undetermined things that you're
14 referring to that are out there.

15 DR. ALEXANDER: Okay. Thank you. It looks
16 like things have picked up a bit. We have
17 Dr. Cavazos, Dr. Acri, Dr. Onyike, and Dr. Yeh,
18 among others.

19 DR. CAVAZOS: I want to push this a little
20 forwarder because dosing is still an issue, and we
21 do not understand what doses are about. I mean,
22 people are sending in dispensaries. I'm in Texas.

1 It is a very highly regulated state process for
2 that with a very limited indication, even though
3 there is not an indication from the FDA to
4 seizures. But still the issue is a 100-fold
5 difference, and I want to make sure that the public
6 understands and is protected about these issues
7 given this pharmaceutical grade product.

8 DR. ALEXANDER: I appreciate that, and we
9 will have opportunity for general discussion. Is
10 there a particular question for the FDA about the
11 doses that were studied?

12 DR. CAVAZOS: Were they evaluated in both
13 toxicity, safety, and efficacy from their review at
14 different doses beyond the three studies that were
15 shown at 10 and 20 milligrams per kilogram?

16 DR. ALEXANDER: Can the FDA speak to the
17 dose, the determination of doses, the dose finding
18 study, the reasonableness of the doses that were
19 studied rather than alternative doses higher or
20 lower?

21 DR. DUNN: I don't think we can comment as
22 to the sponsor's strategy in terms of what doses

1 they chose to pursue, but we've presented the
2 information that we analyzed with regard to
3 efficacy and safety of the doses that were studied.

4 DR. ALEXANDER: Okay. And we could hear
5 from the sponsor subsequently later this morning
6 about that if that's helpful.

7 Dr. Acri?

8 DR. ACRI: Kit, that was a really nice
9 presentation on abuse liability. In the briefing
10 materials, you discuss the possibility that there
11 were trace amounts of THC in the product that might
12 have accounted for the weak signal of euphoria, but
13 then the plasma levels were inconsistent with that
14 possibility. But I'm wondering -- and maybe this
15 isn't a question for you but for the
16 company -- what the trace amounts of THC in the
17 final product actually are and whether they might
18 contribute.

19 DR. BONSON: We have two backup slides. If
20 you can go to my first backup slide, please. I
21 think it's around 26.

22 So we asked could the low level of positive

1 subjective responses from CBD be due to residual
2 THC. And the quantity of the residual THC
3 contained in the CBD drug substance batch used for
4 the human abuse potential study was around 0.03 to
5 0.06 percent weight per weight, and this is less
6 than the product spec limit of 0.15 percent. So
7 when you look at the doses that were used in the
8 HAP study, the THC could range from 0.3 at the
9 lowest percent in the lowest dose, up to
10 2.7 milligrams of THC in the highest dose that was
11 used. And since the lowest marketed dose of
12 Marinol, which contained synthetic dronabinol THC
13 is 2.5 milligrams, the 2.7-milligram of residual
14 THC in CBD might be of concern.

15 Next slide. So the residual THC in the CBD
16 solution did not appear to produce meaningful Cmax
17 plasma concentrations of THC. So
18 750 milligrams -- and again that was a very low
19 range of THC -- produced only 0.27 nanograms per
20 milliliter of THC, and when you went up 6 times
21 higher, the levels of THC barely moved. It only
22 went up to 0.40 nanograms per milliliter.

1 These plasma levels of THC after CBD
2 administration are much lower than the THC levels
3 after administration of actual dronabinol in
4 clinical studies so that 5 milligrams of dronabinol
5 produced 4.7 nanograms per milliliter of THC, and
6 10 milligrams produce about double that,
7 7.9 nanograms per milliliter. You can see this is
8 one-tenth to one-twentieth of what you find with
9 even the highest dose of CBD. So it's our
10 conclusion that it appears unlikely that any
11 positive subjective responses after CBD were the
12 result of residual THC.

13 Does that answer your question, Dr. Acri?

14 DR. ACRI: Yes, it does. And I'm assuming
15 that the manufacturing controls are such that there
16 will never be higher than 0.05 mgs of THC in the
17 final product.

18 DR. BONSON: I think that's a question for
19 the sponsor.

20 DR. ALEXANDER: I'd like to wait if that's
21 okay, but let's put a pin in that one also for the
22 sponsor to address when they next speak or during

1 the discussion period around the question.

2 Dr. Onyike, we just have a minute or two,
3 and then we'll move to a brief break.

4 DR. ONYIKE: So far we've heard about the
5 human abuse potential from the perspective of the
6 compound, but what I'm interested in as well is
7 what is likely to be the behavior of the population
8 that this medication targets. I'm predicting that
9 it will be very low likelihood that they would
10 misuse it. But I would like to know what is the
11 likelihood -- or what is known of misuse or
12 diversion of clonazepam in populations with LGS or
13 DS, or any other serious epilepsies.

14 DR. ALEXANDER: Can you just link that to
15 this study product?

16 DR. ONYIKE: Yes. Well, I'm linking it in
17 terms of the target population. What is known of
18 the behavior of people who have LDS -- sorry, LGS,
19 DS, or other serious epileptic syndromes who are
20 prescribed clonazepam.

21 DR. ALEXANDER: Does anyone at the FDA want
22 to tackle that?

1 DR. CHIAPPERINO: I don't think we can
2 tackle an epidemiology related question about a
3 substance that is not before us right now for
4 consideration. It's an interesting question. I
5 think clonazepam is controlled as a Schedule IV
6 drug. We have not reviewed data recently to know
7 what is happening from epidemiology studies.

8 DR. ALEXANDER: Thank you. One final
9 question from Dr. Yeh, and then we'll have a brief
10 break.

11 DR. YEH: Mine is a quick question related
12 to the self-administration studies. Thank you very
13 much for that nice presentation. In the
14 comparisons between cocaine and CBD and the other
15 substances and CBD, you reported that there was a
16 range of doses. Did you find a dose effect in the
17 self-administration?

18 DR. BONSON: No, no. It was always less
19 than the very low levels that were already shown,
20 so I don't think even if there were slight
21 differences like 5 to 6 to 7 at the various doses,
22 that those were meaningfully different.

1 DR. YEH: So those are meaningless, then --

2 DR. BONSON: I believe so.

3 DR. YEH: -- even though they're higher than
4 placebo.

5 DR. BONSON: If they were, yes.

6 DR. YEH: Yes. Okay. Thanks.

7 DR. ALEXANDER: Thank you. We'll take a
8 10 -- 15; okay. It's going to be 15 minutes. It
9 won't be shortened if necessary. Panel members,
10 please remember that there should be no discussion
11 of the meeting topic during the break amongst
12 yourselves or with any member of the audience. We
13 will resume in 15 minutes.

14 (Whereupon, at 10:18 a.m., a recess was
15 taken.)

16 **Open Public Hearing**

17 DR. ALEXANDER: We'll now reconvene the
18 advisory committee meeting. And just one point of
19 order, we'll have an opportunity for brief
20 responses and clarifications from both the sponsor
21 as well as the FDA before the question that's posed
22 to the committee and the committee discussion. So

1 I just wanted to let both the FDA and the sponsor
2 know about that opportunity.

3 We'll now begin the open public hearing
4 portion of the meeting.

5 Both the Food and Drug Administration and
6 the public believe in a transparent process for
7 information-gathering and decision-making. To
8 ensure such transparency at the open public hearing
9 session of the advisory committee meeting, the FDA
10 believes that it's important to understand the
11 context of an individual's presentation. For this
12 reason, FDA encourages you, the open public hearing
13 speaker, at the beginning of your written or oral
14 statement to advise the committee of any financial
15 relationship that you may have with the sponsor,
16 its product, and if known, its direct competitors.

17 For example, this financial information may
18 include the payment by a bulk drug supplier or
19 compounding pharmacy of your travel, lodging, or
20 other expenses in connection with your attendance
21 at this meeting. Likewise, FDA encourages you at
22 the beginning of your statement to advise the

1 committee if you do not have any such financial
2 relationships. If you choose not to address this
3 issue of financial relationships at the beginning
4 of your statement, it will not preclude you from
5 speaking.

6 The FDA and this committee place great
7 importance in the open public hearing process. The
8 insights and comments provided can help the agency
9 and this committee in their consideration of the
10 issues before them. That said, in many instances
11 and for many topics, there will be a variety of
12 opinions. One of our goals today is for this open
13 public hearing to be conducted in a fair and open
14 way where every participant is listened to
15 carefully and treated with dignity, courtesy, and
16 respect. Therefore, please speak only when
17 recognized by me. Thank you for your cooperation.

18 Will speaker number 1 please step up to the
19 podium and introduce yourself? Please state your
20 name and any organization you are representing for
21 the record.

22 MS. NUSSENBAUM: I'm Evelyn Nussenbaum, and

1 I am here representing myself.

2 DR. ALEXANDER: Thank you. Please proceed.

3 MS. NUSSENBAUM: Thank you. Good morning.
4 My son, Sam Vogelstein, was the first person to try
5 Epidiolex, and our family helped set in motion its
6 launch and path to the United States. I want to
7 briefly tell you how we found our way to it because
8 it underscores how important it is to have
9 scientists and the government involved in the
10 development of pharmaceuticals made from cannabis
11 and how gratified I am to see you all here.

12 Sam started Epidiolex in May of 2013. He's
13 been completely seizure free two and a half years.
14 He has or had epilepsy with myoclonic absence that
15 caused him to have as many as a hundred seizures a
16 day that looked like this.

17 (Gestures.)

18 MS. NUSSENBAUM: They started when he was 4,
19 got worse when he was 6, and nothing could stop
20 them, not 2 dozen medications, the ketogenic diet,
21 and corticosteroids that gave him moonface like a
22 cancer patient. By the time we found our way to

1 Epidiolex, he'd had uncontrolled epilepsy for eight
2 years.

3 Sam does not have Lennox-Gastaut or Dravet,
4 which I know are the symptoms under official
5 consideration today, but Epidiolex didn't even have
6 a name when he tried it five years ago. It was
7 just a pile of pharmaceutical grade cannabidiol
8 that GW Pharmaceuticals was studying. I learned
9 about CBD in the British medical journal, *Seizure*,
10 which had a paper about how it worked as a
11 anticonvulsant in rodents. It was a ridiculously
12 thin thread to hang my hopes on. But in 2012, we
13 had no other options, no medications, and Sam was
14 not a surgery candidate.

15 First, we tried CBD tinctures we bought
16 locally in Berkeley, California, but when we had
17 them lab tested, they all had less CBD than their
18 labels claimed and some had none at all. We bought
19 pot with a scientist friend who also had an
20 epileptic child. Two chemists extracted the CBD
21 for us, gave us what we estimated was about 2 weeks
22 worth. Both kids responded immediately, but the

1 2 weeks went fast, and the chemists could not risk
2 helping us again. Then I read about GW. Epilepsy
3 was not their focus then, but they had greenhouses,
4 plant stock, labs, and they were extracting
5 cannabidiol and other cannabis compounds regularly
6 and systematically.

7 While some people love to hate evil drug
8 companies, I'm not pro or con. I admire GW, and I
9 paid my own way here. But they're good at
10 something crucial, quality control. Every dose of
11 medicine a drug company makes is the same, batch to
12 batch, bottle to bottle, and dose to dose. When
13 you're trying to treat a serious disease, you don't
14 think about how important that is until you can't
15 rely on it.

16 I never aspired to treat Sam with cannabis.
17 I cringe when people congratulate me on treating my
18 child with a so-called natural substance. I'm
19 relieved doctors are overseeing the administration
20 of this plant compound isolated from the other
21 compounds with which it normally occurs. Honestly,
22 if I'd found good science that a motor oil extract

1 could help seizures, I would have pursued that, but
2 I pursued Dr. Jeffrey Guy instead. And when I
3 finally got through to GW's chairman in the fall of
4 2012, I didn't surprise him. He had been reading
5 the same studies that I had, certainly more since
6 he actually had a medical degree; I'm a journalist.

7 After learning that Sam had responded to
8 lab-extracted CBD, he was open to letting us try
9 GW's but not here. Anti-cannabis sentiment was too
10 strong. It was legal for us to try in London under
11 a doctor's supervision, so we went during Sam's
12 Christmas vacation, and it worked. The day before
13 Sam started GW CBD extract, he had 68 myoclonic
14 absence seizures. After 3 days, he had one in 1
15 day. We stayed two weeks most entirely seizure
16 free. Once home, we submitted applications to the
17 DEA and the FDA to make Sam his own one-boy trial.
18 We got approval in the middle of 2003. He remains
19 on it today. And now I'm going to go really fast.

20 One other thing, Epidiolex is not the only
21 AED Sam takes. Sixteen months after starting it,
22 99 percent seizure free, he had a tonic-clonic

1 seizure and another. This is typical in
2 adolescence for his system. We upped his
3 Epidiolex, but it didn't help. But when we added a
4 tiny amount of Depakote, a drug he had failed twice
5 before, everything stopped. The same thing has
6 happened to another boy with Sam's seizures.

7 I would like to suggest that there's a
8 synergistic mechanism between CBD and Depakote in
9 very low doses. I know that in one study when kids
10 already on large doses added Epidiolex, liver
11 enzymes increased. But this is different, so I'm
12 hoping someone will look.

13 Thank you for considering giving our kids
14 access to this drug. Thank you to GW for making a
15 safe and consistent and quantifiable medication.
16 And thank you for letting me talk.

17 DR. ALEXANDER: Thank you very much. Will
18 speaker number 2 please step to the podium and
19 introduce yourself? Please state your name and any
20 organization you are representing for the record.

21 MR. VOGELSTEIN: Hi. My name is Sam
22 Vogelstein. I am 16 years old. I live in

1 Berkeley, California. I had seizures for 10 years.
2 My parents tell me that there were times where I
3 used to have more than 100 seizures a day. Then I
4 went to London with my Mom to try the medication
5 you are considering for approval. I was the first
6 person to try it for epilepsy, and it helped get
7 rid of my seizures. I've been seizure free for
8 more than two years now.

9 Now I can understand what goes on at school,
10 and I can have adventures that never would have
11 been possible before. I just went to South Africa
12 for two weeks without my parents on a school trip.
13 I had a bar mitzvah 18 months ago. I'd like to be
14 a neurologist and help people with epilepsy
15 someday. I wouldn't have been able to do any of
16 that if I hadn't tried this medication. It changed
17 my life. I want it to help other people, too. I
18 want people to be able to get this medication at
19 pharmacies, and I hope this will bring us closer to
20 getting rid of epilepsy. Thank you for letting me
21 speak.

22 (Applause.)

1 DR. ALEXANDER: Thank you very much. Will
2 speaker number 3 please step to the podium and
3 introduce yourself? Please state your name and any
4 organization you are representing for the record.

5 DR. PRIVITERA: Michael Privitera. I'm a
6 professor of neurology. I direct the epilepsy
7 center at the University of Cincinnati, but I'm
8 here representing myself. I was a site
9 investigator for the 1414 study, but I did not get
10 any sponsorship from the company to be here today.

11 I want to start by saying that this is a
12 historic moment, and I want to congratulate GW,
13 Greenwich. I want to congratulate the
14 investigators involved in this study. I also want
15 to congratulate the patients and families who were
16 brave enough to participate in these studies, and I
17 do want to congratulate the FDA. I think this is a
18 remarkable time.

19 I want to make just three quick points.
20 First, I think something that everybody in this
21 room understands is that we absolutely really need
22 new treatments for people with epilepsy. I've been

1 in this field for 35 years. We've had lots of new
2 drugs approved, and I still have hundreds, if not
3 thousands, of people in my practice that continue
4 to have seizures despite optimal doses of
5 medications.

6 Second, I think the data that we saw this
7 morning is remarkable in terms of the rigor that
8 was applied to the study of this compound both in
9 terms of efficacy and safety and also the abuse
10 potential data that I think was really excellent.

11 Third, I'm very excited because I think this
12 compound will open new doors. We don't really
13 understand exactly how it's working to stop
14 seizures, but it's very different than any other
15 drug that we've seen, and I really am excited about
16 the potential future.

17 These studies were really the most rigorous
18 scientific studies done. They were placebo
19 controlled, careful monitoring of compliance, blood
20 levels, adverse effects, drug-drug interactions as
21 you've seen. No cannabis related product has ever
22 been through rigorous studies like that. This will

1 be more reliable than the dispensary marijuana
2 that's available in many states, including my own
3 state. We know that there will -- we'll know about
4 impurities, THC content, dose-to-dose variability,
5 and expiration dates. These are things that no one
6 in any dispensary will be able to tell you about
7 the compounds that are there. I think this is
8 extremely important. As somebody previously said,
9 these are the kinds of things that we rely on with
10 all our drugs or even when we buy Tylenol at the
11 pharmacy. And until you don't have those things,
12 you don't realize what you're missing.

13 Again, I feel like this is just the
14 beginning. We're just starting to learn about how
15 this drug works, how we dose it, what the drug-drug
16 interactions will be, and I'm very excited for
17 additional breakthroughs in neurology. I hope that
18 this compound will be available or it will be
19 useful in other neurologic disorders. And I think
20 most importantly, I've talked to many people in
21 different therapeutic areas who've said, you can't
22 do the research because it's Schedule I. And I

1 think what we've proven today is that when you have
2 the desire and the willingness to do it, you can do
3 these studies. We can look at this carefully, and
4 I'm really excited about the future of epilepsy.
5 Thank you.

6 DR. ALEXANDER: Thank you very much. Will
7 speaker number 4 please step up to the podium?
8 Please introduce yourself and state your name and
9 any organization you are representing for the
10 record.

11 MS. VILLAS: Are there slides? There should
12 be slides for this. Put those on the screen.

13 DR. ALEXANDER: Do we have any slides for
14 speaker number 4? Shall we go to speaker number 5,
15 and we'll come back. Please, give us a few
16 minutes.

17 Will speaker number 5 please step to the
18 podium and introduce yourself? Please state your
19 name and any organization you are representing for
20 the record.

21 MR. CARLIN: Yes. My name is Stephen
22 Carlin, and I'm speaking on behalf of my daughter,

1 Zora Carlin. Also I have slides as well.

2 DR. ALEXANDER: Okay, speaker 5, yes.

3 Please continue.

4 MR. CARLIN: I'm not being compensated to
5 testify at today's hearing. My travel is being
6 supported by GW. Speaking on behalf of my
7 daughter, Zora, she has a severe form of epilepsy
8 called Dravet syndrome. It's very hard to treat as
9 well as to control the seizures. She's at a very
10 high risk of SUDEP, and it's even at a higher risk
11 when her seizures are not controlled.

12 At 6 months old, she was diagnosed with
13 Dravet syndrome, and that's when she began taking
14 very powerful antiseizure medications that had
15 stolen her smile, happiness, and ability to
16 communicate effectively. This is when mayhem
17 showed up at our door.

18 Upon taking and failing up to 15 different
19 powerful drugs, her seizures only became worse.
20 She got to a point where she was having 40 to 50
21 seizures a day, taking 30 to 40 milligrams of
22 valium to try to stop them. Nothing work. She

1 just had seizure, after seizure, after seizure.
2 The short ones probably lasted her 5 minutes, and
3 the long ones went 30 minutes and beyond.

4 Her life consisted of sleeping and seizing,
5 not to mention the side effects that the
6 medications -- they were horrible. One medication
7 caused her to physically rip her skin off and bang
8 her head purposely against things in the house.
9 She was having such a hard time walking without
10 falling or bumping into things. She lost her
11 ability to smile or do anything fun, live normally
12 like most kids.

13 She couldn't go outside. She couldn't do
14 anything the other kids could do. She couldn't
15 ride her bike. She couldn't swim. She couldn't
16 swing on the swings. She couldn't do anything
17 outside. She tried to, but would always start
18 having seizures and wind up back in the house
19 sleeping. I haven't even mentioned all the school
20 that she missed. She was missing school constantly
21 and with the seizures and the medications, it would
22 erase her memory, and that's what made school

1 important. And most of all again, she couldn't
2 smile. My whole family couldn't do anything. Her
3 big sister Eva, she couldn't do anything either.
4 She was basically succumbed to the home because of
5 Zora's seizures.

6 Long story short, her dad found out there
7 was something out there called cannabidiol or CBD.
8 He stopped everything and worked long and hard at
9 the North Carolina General Assembly to get it
10 accepted. Even our neurologist agreed that it
11 would be a good option to help save her smile. All
12 his hard work paid off. He was able to get started
13 in a clinical trial taking Epidiolex, where we were
14 sure that this would work and slow her seizures and
15 bring her beautiful smile back.

16 On January 13th, her dad was thrilled to
17 give her the first dose of Epidiolex while under
18 the care and control of our neurologist. Little
19 did we know how much our lives were about to
20 change. Within a few days, her seizure activity
21 greatly decreased. Over the following months, she
22 was able to both reduce and stop taking medications

1 that stole her smile.

2 Her seizures went from 40 to 50 a day to
3 only a few or none per week. She can now go
4 outside in the summer, ride her bike, swim, swing
5 on the swings, do all the things that all of her
6 friends do. No longer does she miss going to
7 school, and she's learning and speaking amazingly.
8 Most of all, she can finally maintain her smile.

9 This has changed our whole family's life,
10 and we're pleading with you today to please approve
11 her smile by approving this medicine. I want to
12 thank you for giving me the opportunity to speak.
13 This is just a small fraction of our story, and I
14 hope it made you smile. And thank you, GW, for
15 giving us our family back. Thank you.

16 DR. ALEXANDER: Thank you very much for your
17 presentation. We will return to speaker number 4,
18 but I'd like to continue on for the time being.

19 Is speaker number 6 here?

20 (No response.)

21 DR. ALEXANDER: Okay. We'll go to speaker
22 number 7. Will speaker number 7 please step up to

1 the podium and introduce yourself? Please state
2 your name and any organization you are representing
3 for the record.

4 DR. BEBIN: Good morning. My name is
5 Martina Bebin, and I'm professor of pediatric
6 neurology at the University of Alabama at
7 Birmingham and the Children's Hospital of Alabama.
8 I have been involved in antiepileptic drug
9 development for over 20 years. I am here at my own
10 expense but have served as a consultant for GW in
11 the past. Currently, I'm also involved in another
12 GW clinical trial for tuberous sclerosis complex.

13 For the past three years, I have served as
14 the principal investigator for a large pediatric
15 expanded access program for Epidiolex. The UAB CBD
16 program was established to evaluate the safety and
17 tolerability of cannabidiol for the management of
18 treatment-resistant epilepsy. Eighty children have
19 been treated in this program, and support was
20 provided by the state of Alabama General Fund, and
21 Greenwich Biosciences provided Epidiolex at no cost
22 to the patients.

1 As PI of the CBD program, I've had a unique
2 opportunity to treat a wide array of different
3 types of debilitating epilepsy and epilepsy
4 syndromes with Epidiolex. All of the participants
5 in the program had failed multiple antiepileptic
6 drugs and carried a heavy seizure burden. The
7 parents of these children were all committed and
8 enthusiastic to have access to Epidiolex through
9 the expanded access program and realized the safety
10 and tolerability information collected was valuable
11 to further understand the potential role of
12 Epidiolex in the treatment of epilepsy.

13 Over the past three years, the program has
14 been able to follow each participant's change in
15 seizure frequency, severity, use of seizure rescue
16 medication, side effects, potential drug-drug
17 interactions, and overall change in quality of life
18 and cognitive function. I would like to share some
19 of the insights I've gained as my role as PI.

20 The overall response to Epidiolex in the UAB
21 pediatric EAP program is impressive. At least
22 70 percent of patients had a 25 percent reduction

1 or more in seizures, and approximately 63 percent
2 had a 50 percent or more reduction, and
3 approximately 30 percent had a 75 percent reduction
4 or more in seizures at one year participation in
5 the program.

6 I learned there was no way to predict who
7 would be a responder and non-responder to
8 Epidiolex. It requires careful consistent
9 monitoring of the child's seizure counts, change in
10 seizure severity, use of rescue medication for
11 prolonged seizures, reports of side effects, and
12 overall changes in quality of life. It became
13 clear there is an optimal dose range for
14 responders, and it can vary between 5 to 15
15 milligrams per kilogram per day. This is why
16 knowing the seizure type the child is having and
17 having the parents maintain seizure diaries is an
18 invaluable resource.

19 The side effects of Epidiolex are manageable
20 and the drug-drug interactions can be minimized and
21 often avoided with close monitoring of liver
22 function tests and AED levels. For a small

1 percentage of patients who have become seizure
2 free, it has been remarkable. It is rewarding to
3 see and hear the sense of relief from the parents
4 and children.

5 For the adolescents who have become seizure
6 free, Epidiolex has provided the first opportunity
7 to drive, change their outlook in school, and
8 aspirations for the future. For the younger
9 children, it has meant a greater ability to
10 participate in peer group activities, attend school
11 field trips without their parent, spend a night at
12 a friend's house, and sleep in their own bed for
13 the first time in years.

14 Given my experience for the past three
15 years, it is my opinion that Epidiolex has the
16 potential to make a significant impact in improving
17 the seizure control and quality of life for so many
18 children suffering from intractable epilepsy. The
19 U.S. expanded access program has provided important
20 information on safety and tolerability of Epidiolex
21 and has helped laid the foundation of our
22 understanding of Epidiolex and its role in the

1 treatment of epilepsy. Thank you.

2 DR. ALEXANDER: Thank you very much. Will
3 speaker number 8 please step up to the podium?
4 Please introduce yourself, state your name and any
5 organization you are representing for the record.

6 MS. TREADAWAY: Hi. I'm Katherine, and this
7 is my husband Tim, and we came here from Dallas,
8 Texas to give testimony on behalf of our daughter,
9 Riley, who was diagnosed with Lennox-Gastaut
10 syndrome. GW did support our travel, but they are
11 not compensating our time here today. We wanted so
12 much to be here to share Riley's story and are very
13 grateful for this opportunity.

14 A Brazilian poet wrote so eloquently,
15 "Nothing we endure makes sense if we don't touch
16 people's hearts." I hope Riley will touch your
17 heart today and convince you of the need for more
18 therapy options for children with intractable
19 seizures.

20 It has not been an easy life for Riley. Her
21 first seizure was at 4 months old, and she was
22 started on antiepileptic medication. This was just

1 the beginning of a whirlwind of craziness and
2 hospitalizations. She began to have infantile
3 spasms at 6 months, requiring more medications,
4 which we could not even purchase in the U.S. at the
5 time.

6 Riley is now 13 but cognitively she's about
7 the same she was at 1. We do everything for Riley.
8 We diaper her, bathe her, dress her, feed her.
9 She's unable to communicate in any way and is
10 completely nonverbal. No matter what we have tried
11 over the last 13 years, nothing has controlled her
12 seizures. I feel like they have stolen her
13 happiness and childhood. She has about 5 seizures
14 a day and some are violent. She throws up after
15 some of them. She has to go to sleep immediately
16 after some. One seizure resulted in her arm being
17 broken and another needing stitches on her face.

18 She has been on so many medications over the
19 years. Some have pushed her development back even
20 to the point that she began to aspirate food and
21 liquids requiring a feeding tube to be placed,
22 another heartbreaking and difficult loss. Another

1 made her like a zombie incapable of even rendering
2 us a smile. She has had a temporal lobe resection.
3 She's been on the ketogenic diet. She has a vagal
4 nerve stimulator. None of these have stopped her
5 seizures and given her an opportunity to learn and
6 just be a regular kid.

7 The dreams that I've had for Riley have
8 slowly drifted away over the years because of
9 unstoppable seizures. The hope and possibility of
10 a new treatment can't come fast enough. If this
11 therapy could save families the heartache and
12 horror and fear of seeing their child convulsing
13 with violent seizures and give them a chance of
14 living a regular kid's life, I would not hesitate
15 for one second in urging your approval of this new
16 therapy.

17 MR. CHAPMAN: This is the best advocate for
18 Riley God could have given that little girl. I'm
19 going to go off script a little bit because seeing
20 and hearing some of these other stories are real
21 close to home. It's hard to watch every day. You
22 know they can't learn when their brain activity is

1 not right. We don't trust any of the available
2 resources for what we think she needs. We trust
3 what GW's doing. She's only 13, and time is of the
4 essence. It's getting worse. We think it will
5 help, and we think a lot of these kids deserve a
6 shot at being help.

7 Thanks for your time. I respect your
8 professional opinion, and I'm grateful that you're
9 here to help us provide these opportunities for all
10 these children.

11 MS. TREADAWAY: Thanks so much.

12 DR. ALEXANDER: Thank you very much. We'll
13 return to speaker number 4. Will speaker 4 please
14 step up to the podium and introduce yourself?
15 Please state your name and any organization you are
16 representing for the record.

17 MS. VILLAS: I'm back. My name is Nicole
18 Villas and I am representing the Dravet Syndrome
19 Foundation who is dedicating to funding research
20 and supporting families. The sponsor did not pay
21 for my travel to be here today, but they do sponsor
22 other community-wide events. I'm going to do this

1 without the slides. We're not sure why they're not
2 going through, so bear with me. This will be a
3 little bit different than I planned.

4 By now you guys know that Dravet syndrome is
5 one of the most severe forms of epilepsy, that the
6 seizures are not just one-dimension
7 [indiscernible], self-resolving occurrences that
8 are done after they finish. They can be long, they
9 can be medical emergencies, and they can require
10 very extensive recovery times for our children.
11 These kids and adults with Dravet syndrome are not
12 your average epilepsy patients. They've been
13 through a lot, and if they're more than a year into
14 this mess, they've probably run through all of the
15 FDA-approved treatments available to them, none of
16 which are indicated for Dravet. They've tried the
17 ketogenic diet, brain surgeries, implants,
18 supplements, dietary things; everything you can
19 think of, they've tried, and they're still seizing.

20 But of course we know it's not just about
21 the seizures. We did a recent survey of our
22 community and found that in 13 areas that we

1 surveyed, our patients had at least one issue in
2 each of them. The areas would range from sleep
3 issues, to behavioral issues, to cognition and
4 speech impairment. And in those 13 areas, almost
5 all of our patients had at least one issue going
6 on. A lot of the traditional anticonvulsants out
7 there have side effects that affect those issues,
8 and when you add medication after medication in
9 this rational polytherapy, you can quickly arrive
10 at a risk-benefit analysis that doesn't make sense
11 for our kids.

12 In recent years, the FDA has pledged to take
13 the patient's voice into account in reviewing new
14 drug applications, and luckily for you, we've
15 compiled this data. You can find it; it's
16 published. But our caregivers' top concerns are
17 speech and communication, sibling issues, cognition
18 and development, behavior, and others. Epidiolex
19 has a chance to affect a lot of those areas. The
20 caregivers' global impression overall had
21 significant benefit, and that overall score affects
22 a lot of the issues that our caregivers rank as top

1 concerns.

2 One of the issues was sleep, and although
3 the sleep measures didn't show statistically
4 significant benefit, you might think that that's
5 not a benefit of Epidiolex for our kids. But when
6 you remember they're on a whole bunch of other
7 medications that often do interrupt sleep, having
8 the option of introducing a med that doesn't affect
9 sleep is actually a really positive thing for our
10 community. So it's those little intricacies of the
11 well tolerated side effect profile that this drug
12 offers that really is attractive to our patients.

13 In the same survey, the community voiced
14 their preference for treatments that reduce
15 seizures without the heavy side effects. This
16 liver enzyme issue that we've heard about this
17 morning is not a top concern for caregivers. We've
18 dealt with that in other medications. We know how
19 to handle that. We don't like the side effects
20 that dull cognition and create aggressive behaviors
21 that a lot of these other anticonvulsants do, which
22 brings us to our last point.

1 If you followed our community for the past
2 six years, you've seen the documentaries. You know
3 that families are experimenting and it's happening
4 a lot. Up until now, neurologists have been
5 innocent, frustrated bystanders whose hands are
6 tied. They can't advise or prescribe on
7 cannabidiol, but they don't have anything else to
8 offer us either. This is your chance to arm them
9 with a well-researched, consistently-manufactured
10 monitored product that they can offer as a
11 medication.

12 These patients have been through so much.
13 They've overcome so much. They can do so much. As
14 you see in the pictures, they're capable. And
15 everyone in this room, the regulators, the
16 clinicians, the parents, we owe it to them to not
17 hold them back and reduce seizures with minimal
18 side effects.

19 The Dravet Syndrome Foundation, who's
20 dedicated to research, applauds the rigorous
21 clinical trials that have brought CBD before this
22 regulatory committee, and we urge you to approve

1 Epidiolex. We support every family demanding and
2 deserving access to CBD no matter which project
3 they use, and we believe this is the most
4 reasonable, responsible step to take in addressing
5 their unmet needs. Thank you.

6 DR. ALEXANDER: Thank you very much. We'll
7 now move on to speaker number 9. Will speaker
8 number 9 please step to the podium and introduce
9 yourself? Please state your name and any
10 organization you are representing for the record.

11 MS. SMITH: My name is Lisa Smith, and I'm
12 from Whitestone, Virginia. I'm speaking on behalf
13 of my daughter Haley, who has Dravet syndrome. GW
14 has supported my travel, but I am not being
15 compensated.

16 This is Haley. Her first seizure was at
17 5 months of age, and it lasted over 25 minutes.
18 Her second one was over 45 minutes. And when we
19 went to her second neurologist, but our first
20 long-term neurologist, Dr. Ralph Northam, he did
21 not want to put her on a regular dose of medication
22 because he felt she'd outgrow it.

1 And he also felt that it would impede her ability
2 to learn and her brain development. And I'm very
3 thankful that he did because I think we have
4 more -- she functions now -- she's 17 but functions
5 like at a 5-year-old because of that. I have a
6 picture of my twin boys there, too because Dravet
7 syndrome does not just affect the individual; it
8 affects the whole family.

9 By age 5, Haley had tried and failed 13
10 different medications and was on two medical diets
11 and failed those, too. She didn't have a
12 diagnosis, so the medications she was on during the
13 ketogenic and modified Atkins were contraindicated,
14 and we knew that in hindsight. But also because
15 there was not enough literature on Dravet, our
16 doctor did not know to look at that as a reason for
17 increased seizures.

18 We went back to VCU in Richmond in 2005 and
19 were under the care of Dr. Pellock, which we love
20 and we miss greatly. He got to the point -- she
21 was finally diagnosed by him when she was 7, and
22 when we would meet with him, it was a collaborative

1 event. He would ask what the experts like Nicole
2 would say on her message boards because he didn't
3 know how to treat Haley. By the time she was 14,
4 she had tried and failed 17 different medications
5 and combinations of them.

6 She also had a VNS implanted, and the
7 doctors said there's nothing left. So what do you
8 do when you have a 14 year old and there's
9 nothing -- they said they would prescribe CBD if
10 they would not be arrested at a state or federal
11 level. We were greatly encouraged because GW was
12 going to come to VCU in 2014-2015, but the red tape
13 prevented the study from starting on time, so we
14 took matters in our own hands because we were
15 losing our daughter.

16 So we changed the bill in Virginia, and
17 Haley's on the governor's lap only because she
18 wants to get to the microphones.

19 (Laughter.)

20 MS. SMITH: That's the only reason. She's
21 like I see a way to get there.

22 At the age of 14, her seizures were over a

1 thousand a year, and the rescue drugs that we had
2 at the time never worked.
3 She could have had up to 12 milligrams of Ativan in
4 the emergency room, 5 milligrams of Vorsan [ph],
5 and she'd still continuing seizing. So there was
6 no stopping them.

7 Haley started a strain of CBD since we
8 passed the law to allow CBD and THCA oil in
9 Virginia, and you can see the difference, just
10 right there. Just look at her eyes. But if you
11 want a more scientific base, since you're a bunch
12 of scientists here, here are her seizures over the
13 years. And I just want to remark that at 2002 was
14 when she started her drugs, and they just kept
15 going up despite the 17 different pharmaceuticals.
16 And in 2012, it just took off. It dropped with the
17 CBD, and you noticed in 2016 we stopped counting.
18 That's because we decided to enjoy our daughter and
19 not count. She still had seizures, 1 to 2, 3 a
20 day, but the rescue drugs worked a little better.

21 But what she's doing now is she's learning.
22 She's totally obsessed with firefighters, and she's

1 learning her states by the forest fires. So pole
2 up, ladder in that picture is about firefighters.
3 She's engaging in pretend play. The family's able
4 to go do things. The picture on the left is us on
5 a Disney cruise, which we never would have
6 entertained prior to the oil. So I just appreciate
7 having it, but because we have a different oil than
8 what GW offers, what we don't have is input for a
9 neurologist's assistance and [indiscernible] oil or
10 any federal protections. But in the end, it's all
11 about quality of life. We might not hit the study
12 results of more than 50 percent decrease in
13 seizures, but our quality of life has increased
14 100-fold.

15 So I encourage you to pass this and vote on
16 because once it's out in the public, then there
17 will also be more awareness for Dravet syndrome,
18 and no one will have to wait six and a half years
19 like we did to get diagnosed. Thank you very much.

20 DR. ALEXANDER: Thank you very much. Will
21 speaker number 10 please step to the podium and
22 introduce yourself? Please state your name and any

1 organization you are representing for the record.

2 MR. GATTONE: Good morning. My name is
3 Philip Gattone. I'm president and CEO of the
4 Epilepsy Foundation, a leading patient voluntary
5 health agency solely dedicated to the welfare of
6 3.4 million Americans and thousands of families who
7 have lost loved ones and their families. I've not
8 received any compensation for travel support to
9 appear today. My remarks are solely that of the
10 Epilepsy Foundation.

11 I come before the committee today to express
12 the urgency my community feels for new and better
13 therapies for epilepsy. I also offer my remarks as
14 the father of an adult son with epilepsy. My son
15 endured thousands of seizures in his life and two
16 brain surgeries. And because of the new therapies
17 that were available, my son is now 31 years old and
18 he's living a fairly typical life, albeit without
19 12 centimeters of the right side of his brain.

20 But not everyone who has epilepsy is so
21 lucky. One-third of people living in the United
22 States with epilepsy have found no therapy that

1 stops their seizures. In a numbers perspective,
2 that's more than 1 million families in the United
3 States. Despite significant advances made over the
4 last several years, the number of people with
5 epilepsy who don't achieve seizure freedom with
6 current therapies has not changed.

7 Epidiolex represents hope for the many
8 individuals living with refractory epilepsy.
9 Barbara Kroner is here in the audience today with
10 her daughter Ellie, who is a beautiful 19-year-old
11 young woman who started having seizures at 3 months
12 of age and was later diagnosed with Aicardi
13 syndrome. Ellie has tried 19 different
14 anti-seizure medications, the vagus nerve
15 stimulator, and had two brain surgeries. In
16 February of 2014, Ellie received her first dose of
17 Epidiolex as part of a clinical trial and went from
18 having 3 significant seizures every day, lasting up
19 to 15 minutes each, to having many, many
20 seizure-free days.

21 Ellie is not alone. I'm also privileged to
22 be standing with Polly VandereWoude, who is here

1 with me today to share her story.

2 MS. VANDEREWOUDE: Thank you, Phil.

3 Good morning. My name is Polly
4 VandereWoude, and the Epilepsy Foundation supported
5 my travel at today's hearing. In 2010 when my
6 daughter Olivia was only 2 months old, she had her
7 first seizure. From that day until her first dose
8 of Epidiolex in 2014, Olivia suffered 8 to 15
9 clusters of seizures lasting 8 to 10 to over
10 30 minutes a piece every day of her life.

11 Epidiolex is by far the most effective
12 medication she has taken, and it has the fewest
13 side effects. Olivia was unable to find success
14 with 6 failed medications, the ketogenic diet, the
15 vagus nerve stimulator, and she was ruled out as a
16 candidate for brain surgery.

17 At the young age of 4, Olivia was running
18 out of treatment options. The cocktail of
19 medications left her sedated, her respiratory drive
20 suppressed, and she was prone to frequent
21 infections and hospitalizations. Fortunately,
22 Olivia was selected for one of the first

1 compassionate use trials out of New York
2 University.

3 During her time on Epidiolex, Olivia has
4 experienced some great improvements. She was able
5 to wean off all but one other seizure medication,
6 and her overall health improved. She has not been
7 hospitalized for an infection since starting
8 Epidiolex. She has seen a 45 percent reduction in
9 her seizures from over 1200 a year to 650.

10 In 2014, after beginning Epidiolex, she
11 experienced her first seizure-free day, and that's
12 grown from 28 in 2015 to 80 in 2016 and 2017. So
13 while not seizure free, it has been a huge
14 improvement in her quality of life.

15 Olivia is peaceful, sweet, gentle, and
16 loving, and while she has significant disabilities,
17 since Epidiolex, she has smiled, vocalized, made
18 eye contact, shows her personality, and is more
19 closely connected to the people in her life. This
20 is a first for her and for our family. It's
21 brought a sense of normalcy and has been a
22 lifeline.

1 Thank you for the opportunity to testify
2 today. I ask that you approve Epidiolex. Olivia's
3 had the benefit of four years on this medication
4 and there are hundreds and thousands of kids who
5 could really benefit from this medication. Thank
6 you.

7 DR. ALEXANDER: Thank you very much. I
8 should have mentioned at the outset, but let's hold
9 our applause, and then at the end of all of the
10 speakers, we can join together to thank all of them
11 for their contributions to the hearing.

12 We'll move to speaker number 11. Will
13 speaker number 11 please step to the podium and
14 introduce yourself? Please state your name and any
15 organization you are representing for the record.

16 MS. HEMANI: Hi. My name is Abby Hemani,
17 and I am here on behalf of my 8-year-old daughter
18 Nora, who has Dravet syndrome. GW Pharma supported
19 my travel, but I'm not being compensated to speak
20 her today.

21 Nora had her first seizure when she was
22 3 months old, and since then, she has had countless

1 thousands of seizures. Her seizures have come in
2 all different shapes and sizes, and they've evolved
3 over time. In her early years, we tried many
4 different treatments, but none stopped her seizures
5 and all came with unwanted and often risky side
6 effects.

7 In 2014, Nora was approved to try Epidiolex.
8 You've heard from the doctors and scientists here
9 today about the data on this new drug, and I'm a
10 big supporter of data. But sometimes data doesn't
11 tell the whole story, and that's certainly true for
12 Nora. According to the data, Nora's Epidiolex
13 treatment would be deemed a failure.

14 Before she started Epidiolex, Nora had about
15 1 45-second tonic-clonic seizure every 2 weeks, and
16 after she started Epidiolex, Nora continued to have
17 1 45-second tonic-clonic seizure about every 2
18 weeks. Nothing changed, at least with respect to
19 this primary endpoint. But you see, tonic-clonic
20 seizures weren't the biggest problem for Nora in
21 2014. Instead it was the hundreds or sometimes
22 thousands of seizures she had each day that had no

1 classification but that her doctors and I dubbed
2 her intention myoclonic. And intention myoclonic
3 looks a lot like a regular myoclonic seizure, but
4 it has a very specific trigger, and that's focus.
5 Focus on a fine motor task.

6 This is just one of the many varied and
7 unusual seizure types that kids with Dravet
8 syndrome experience. So every time Nora tried to
9 draw or use a spoon, her arm would jerk like this.

10 (Gestures.)

11 MS. HEMANI: And every time she tried to
12 kick a ball, her leg would jerk in much the same
13 way.

14 Before she developed these intention
15 myoclonics, Nora had always been a happy and eager
16 learner despite her significant developmental
17 delays. But these seizures were getting the best
18 of her, and by the time she started Epidiolex, she
19 had pretty much given up. She stopped drawing, she
20 stopped trying to feed herself, and she even
21 stopped her favorite activity in the entire world,
22 blowing bubbles because every time she tried these

1 tasks, she seized.

2 Nora went from being a happy, playful,
3 joyous child to one who was often withdrawn and
4 frustrated, and then we started Epidiolex. Within
5 a week of her first dose, Nora's intention
6 myoclonics stopped cold. They just vanished and
7 they've never returned. Instead, what returned was
8 Nora's smile, her laughter, and her incredible lust
9 for learning and achievement.

10 Unlike with every other medication we've
11 tried, this change came at absolutely no cost. To
12 the contrary, while other medications had made her
13 tired, cranky, or withdrawn, on Epidiolex, she
14 became sharper, quicker, and more engaged. And
15 these changes appeared dramatic even to teachers
16 and therapists who had no idea about her new
17 medicine.

18 I recognize that Nora's experience is
19 anecdotal rather than data driven, but I also know
20 that it's not unique. Our community is replete
21 with stories like Nora's, and these stories have
22 given us something we never expected to have, hope.

1 So Nora and I ask you to please consider these
2 experiences as part of your decision-making process
3 and to give everyone suffering from Dravet syndrome
4 and other severe epilepsies the same opportunity
5 that Nora has had.

6 I'll close with a brief message from Nora
7 herself, showing off both her speech and her fine
8 motor skills. There's no volume, but she said,
9 "Thank you. Muah!"

10 DR. ALEXANDER: Thank you very much. Will
11 speaker number 12 please come to the podium and
12 introduce yourself? Please state your name and any
13 organization you are representing for the record.

14 MR. NEWCOMER: Good morning. We are the
15 Newcomer family, Anders, Jennifer and Sage. We are
16 here to support our daughter Emma and the approval
17 of CBD oil to treat seizure disorders. Our travel
18 here today is supported, but we are not compensated
19 in any way for our time.

20 DR. ALEXANDER: Can you just speak a little
21 closer to the microphone, please, sir?

22 MR. NEWCOMER: We would like to tell you

1 about Emma, whose image you see, and our experience
2 dealing with her seizure disorder.

3 MS. NEWCOMER: At 18 months old, Emma was
4 diagnosed with epilepsy. What started as simply
5 myoclonic seizures soon turned in to a mixed
6 seizure disorder. Emma also developed tonic,
7 atonic, clonic, tonic-clonic, and absence seizures.
8 She had seizures every single day of her life and
9 often up to a hundred or more per day. But the
10 seizures were often accompanied by violent vomiting
11 and left Emma lethargic and dysregulated for the
12 remainder of the day. It's hard to describe how
13 this impacted us and our other two children. It
14 affected every area of our lives.

15 The seizures came without warning, and they
16 came quickly and devastatingly. Whether we were
17 running an errand, in the store, in the car, at the
18 playground, or opening presents on Christmas
19 morning, we became accustomed to having our hearts
20 ripped out a bit with each one. With every new
21 drug, ketogenic diet, new set of side effects, we
22 continued to watch her suffer, and we suffered

1 along with her, feeling more and more hopeless
2 until we started the CBD oil.

3 MR. NEWCOMER: Too often I went to work
4 while Emma suffered potentially life-threatening,
5 brain-damaging clusters of seizures. I was never
6 able to focus completely on my job while part of me
7 was still at home with my family in crisis.

8 Emma continued to have uncontrollable
9 seizures. Her doctors told us they did not know
10 what else to do. We came to Dr. Thiele who never
11 stopped trying to find a way to help. Emma's
12 current therapy regimen works. It really works.
13 That is a gift to a beautiful, charismatic young
14 lady and her family.

15 Thank you for your thoughtful consideration
16 of this vitally important matter, the benefits of
17 the medicinal use of CBD oil to treat a disorder
18 often unresponsive to other therapies. Please
19 support the approval of medical usage of CBD oil
20 therapy for persons with epilepsy.

21 MS. NEWCOMER: My sister is 15 months
22 younger than I am. This means that every memory I

1 can recall takes place at a time when Emma is in my
2 life. I remember her first seizure and multiple
3 others, including those that hospitalized her three
4 times within a span of a few months. These
5 episodes were normal for the majority of her life,
6 and it was normal for me to see my little sister
7 curled up on the floor after numerous seizures.

8 Now my sister wakes up in the morning with a
9 smile hiding mischief. She comes home in the
10 afternoon and sends us running around for her,
11 laughing while we scramble to find what she wants.
12 I love seeing her enjoy life rather than be dragged
13 down by it. It's different for our sister because
14 my parents had other lives before us. Their
15 version of normal has been changed repeatedly. My
16 normal has always been tied to Emma and the effects
17 her seizures had on her. To see them lose their
18 grip on my sister's life means so much to me
19 because she now has room to be happy inside and
20 angry and experience things she couldn't before.

21 Now we ask you to observe a moment of
22 silence for those who have died as a result of this

1 terrible disorder.

2 (Moment of silence.)

3 MR. NEWCOMER: Epilepsy has been an
4 incurable disorder. CBD oil treatment provides
5 hope that a cure may be imminent. Simply put, CBD
6 oil works, from up to 100 or more seizures a day to
7 less than 1 per month. Thank you for the
8 opportunity to speak today.

9 MS. NEWCOMER: Thank you.

10 DR. ALEXANDER: Thank you very much. Will
11 speaker number 13 please step to the podium and
12 introduce yourself? Please state your name and any
13 organization you are representing for the record.

14 MR. GILMORE: My name is John Gilmore, and I
15 have a daughter Lily who suffers from Lennox-
16 Gastaut syndrome. GW Pharma has supported my
17 travel, but I'm not being compensated by them to
18 testify at today's hearing. I'm testifying as a
19 father of a child with LDS [sic].

20 Lily is a 17-year-old young lady who at
21 6 months old was diagnosed with infantile spasms
22 despite attempts with multiple medications, diets,

1 and other treatments that eventually led into LGS
2 when she was around 4 years old. Lily suffers from
3 five different types of seizures; myoclonic jerks,
4 tonic, tonic-clonic, atonic or drop attacks, and
5 atypical absence, and has had seizures just about
6 every day of her life since the first diagnosis at
7 6 months old.

8 Her EEGs have shown consistently that she
9 was having some sort of seizure activity in her
10 brain every 4 seconds. This has caused her to have
11 global delays, she is nonverbal, has mobility
12 issues, and basically functions like a toddler and
13 needs someone with her 24-7 to monitor for seizures
14 to keep her safe.

15 She has averaged between 650 to 850 seizures
16 a year, which means she's had over 14,000 seizures
17 in the last 16 and a half years. This is not
18 including the time she has been in status or has
19 had clustering seizures. We never know what each
20 day will bring. Is she going to have a sudden
21 drop-attack seizure and really hurt herself? Is
22 she going to go into status and we will have to

1 administer emergency meds and rush her to the
2 hospital? Or is this the day she does not wake up
3 due to SUDEP?

4 The pictures on the screen are just a
5 sampling of what Lily looks like on a good day and
6 a bad day, which is 7 weeks in the hospital. This
7 is what my wife and I think about every second of
8 the day. Our life is centered on continuously
9 finding a medicine or therapy that will help
10 control her seizures while also trying to give her
11 the best quality of life we can. It is an
12 extremely delicate balance that is incredibly
13 stressful and heartbreaking for the entire family.

14 Watching my daughter suffer daily rips a
15 little piece of my heart out every day. As the
16 father, you're supposed to be able to protect your
17 child from harm and fix things for them. Despite
18 all of our attempts so far, I've not been able to
19 come close to accomplishing this. Even with all
20 this against her, Lily still manages to smile and
21 enjoy the few moments she is not having seizures.
22 She has shown and taught all of us what through

1 strength really is and what's really important in
2 life. She is the one who gives our family the
3 strength to continue this fight to find a cure.

4 To date, Lily's been on over 25 different
5 medications or treatments over this time period
6 with no true success, yet she has suffered many
7 side effects while taking these medications,
8 including potential liver damage, kidney stones,
9 severe malnutrition, lack of appetite, weight gain
10 and loss, and vomiting daily. She's been on
11 medication where we had to sign waivers
12 acknowledging that we know that there was a
13 potential risk of damage to her vision or could
14 cause aplastic anemia. These are the kind of
15 calculated risks that we've had to take over the
16 years to try and help control Lily's seizures in
17 the attempt to give her some type of quality of
18 life.

19 In 2014, we were lucky enough to be enrolled
20 in a pilot study for Epidiolex under Dr. Orrin
21 Devinsky at NYU Langone's Comprehensive Epilepsy
22 Center, and she continues to take Epidiolex today.

1 To date, we have seen no side effects besides
2 slight fatigue. She's having less drop attacks,
3 and we've even seen multiple seizure-free days in
4 each month.

5 About a year into the study, we conducted a
6 24-hour EEG. When we met with Dr. Devinsky to
7 review, he said her EEG was less chaotic and looked
8 better, meaning her brain was not having seizure
9 activity every 4 seconds. This was a statement
10 that we have never heard since Lily was 6 month
11 old.

12 Because of this, she has been able to make
13 small strides cognitively. Her teacher and
14 therapist have all reported positive feedback that
15 Lily is making good progress within her goals.
16 We've seen her be more aware of her surroundings
17 and be more interactive with us and our extended
18 family. Before Epidiolex, this was not the case.

19 Lily still has all her seizure types and
20 continues to need around-the-clock care and
21 monitoring. However, for our family, one day with
22 less seizures is a great day. Epidiolex is a

1 necessary and proven effective treatment for people
2 who suffer from severe seizure syndromes like LGS.
3 The severe catastrophic and rare form of epilepsy
4 has so few effective treatment options, so if a
5 medication can reduce, stop seizures, and/or
6 improve the quality of life for an LGS person, that
7 is a medication that needs to be available to the
8 LGS community.

9 I strongly ask of this committee to
10 recommend that Epidiolex be approved. Thank you
11 for your time today.

12 DR. ALEXANDER: Thank you very much. And I
13 understand you're also representing speaker number
14 14.

15 MR. GILMORE: Yes.

16 DR. ALEXANDER: If you could just remain at
17 the podium and let us know who you're representing
18 and if there's any organization that you're also
19 representing for the record.

20 MR. GILMORE: Okay. Again, my name is John
21 Gilmore, and I'm happy to be representing the LGS
22 Foundation on behalf of its executive director

1 Christina SanInocencio.

2 The LGS Foundation is the leading nonprofit
3 organization in the world dedicated to Lennox-
4 Gastaut syndrome. As you may know, LGS is a rare
5 and severe form of epilepsy that begins in early
6 childhood and typically persists for the duration
7 of the individual's life. Here are some startling
8 statistics that I'd like to open with to give you
9 some sort of framework about the devastation of
10 this disorder.

11 Ninety percent of individuals diagnosed will
12 continue to have lifelong uncontrolled seizures
13 through their life. Ninety percent also have
14 moderate to severe cognitive impairment.

15 Lennox-Gastaut syndrome affects between 14,500 and
16 18,500 children under the age of 18 in the United
17 States and over 30,000 children and adults in the
18 U.S. While this number may seem small or
19 insignificant, rare forms of epilepsy like LGS lead
20 to the highest healthcare utilization of the
21 epilepsies due to frequent emergency room visits,
22 poor seizure control, and other services needed.

1 Eighty-five percent of individuals with LGS
2 have sleep disturbances. The majority of LGS
3 patients take more than three antiepileptic
4 medications but continue to have daily seizures.
5 LGS patients have a decreased quality of life and
6 have a 24 times higher risk of death than an
7 average person. Many patients have hundreds of
8 seizures per day. More than two-thirds are
9 nonverbal or have limited verbal skills, yet
10 despite these statistics, there's a dearth of
11 effective treatments and limited research being
12 done in this disease state.

13 The LGS Foundation's mission is to improve
14 the lives of individuals living with Lennox-Gastaut
15 syndrome through research, programs, and education.
16 The organization was founded in 2008 in an effort
17 to provide support to families living with LGS and
18 fund research but has grown tremendously over the
19 past 10 years and has been working tirelessly to
20 make a profound impact in the lives of people with
21 LGS. They're committed to making sure that every
22 person who's affected by LGS has the best quality

1 of life possible, the fewest seizures possible, and
2 the fewest side effects possible.

3 I'd like to quote the executive director of
4 the LGS Foundation, Christina, with the following.

5 "Lennox-Gastaut syndrome is devastating and
6 new treatments are desperately needed. Despite a
7 few FDA-approved medications already on the market,
8 patients continue to have life-threatening,
9 debilitating seizures. We need more companies to
10 bring products to the market that can make a
11 difference."

12 You've heard and will hear from families
13 with the rare epilepsy syndromes at this hearing,
14 but not all voices can be heard due to the fact
15 that many caregivers can't leave their children to
16 be here. Many of our kids are too medically
17 fragile to travel to be here or a family member
18 can't afford to take a day off of work to testify.
19 There are many voices you may not be hearing that
20 would like to be here but can't. We think this is
21 a real-life example that speaks to the fragility
22 and everyday circumstances that LGS caregivers

1 face.

2 I'll wrap up with saying that on behalf of
3 the LGS Foundation, more treatment options are
4 needed for our community. Because LGS has many
5 different causes, not all individuals are the same
6 in terms of phenotype or presentation of symptoms,
7 and not all will respond to treatment in the same
8 way, yet, we can't deprive our families of a
9 potential option that may actually help, no matter
10 what it is, what the mechanisms of action may be,
11 or how it is marketed.

12 I hope that the LGS Foundation's voice is
13 heard at this hearing, as they are the leading
14 organization in the world dedicated to improving
15 LGS patients. Thank you for your time and thank
16 you for listening.

17 DR. ALEXANDER: Thank you very much. This
18 marks the conclusion of the open public hearing.
19 Please join me in thanking all of the participants.

20 (Applause.)

21 **Clarifying Questions (continued)**

22 DR. ALEXANDER: The open public hearing

1 portion of this meeting has now concluded, and
2 we'll no longer take comments from the audience.
3 The committee will now turn its attention to
4 address the task at hand, the careful consideration
5 of the data before the committee as well as the
6 public comments.

7 I'd like to just first if I can give the
8 opportunity to the sponsor to address any of the
9 questions. There were one or two that I sort of
10 called out. And if you have any clarifications
11 you'd like to make regarding earlier questions,
12 please do so now. And following that, the FDA as
13 well, if there are any comments the FDA wishes to
14 make before we turn to the question at hand.

15 DR. KNAPPERTZ: Thank you very much,
16 Dr. Alexander. I believe there were two
17 outstanding questions. The first one was regarding
18 the residual THC trace concentration in our
19 product. It is 0.1 percent. The specifications
20 that Dr. Bonson showed were slightly higher, but we
21 agree with Dr. Bonson's assessment on the
22 concentrations, which are 10 or 20-fold lower than

1 those seen with the very low doses of 5 milligrams
2 of dronabinol. I just wanted to confirm that our
3 good manufacturing, controlled-exacting standards
4 of manufacturing, will produce these very low trace
5 amounts in a very consistent fashion.

6 The second question I believe related again
7 to the dosing. I have not much to add to my
8 original comments. We studied 5, 10, and
9 20 milligrams per kilogram per day. The resultant
10 exposures from 10 and 20 milligrams vary largely
11 but are dose proportionate, and there is efficacy
12 seen throughout the spectrum of the resultant
13 exposures. We cannot speak to 5 milligrams per
14 kilogram per day, which I think was a nested
15 question, but I'm going to ask Dr. Devinsky, who
16 has some individual case experiences with dose
17 adjustments.

18 DR. DEVINSKY: As an investigator in the
19 expanded access program and in two of the large
20 RCTs and the smaller dose pharmacokinetic trial, I
21 have a large number of patients who are on
22 Epidiolex. And after they completed the

1 double-blind portion, or if they were in the EAP, I
2 had the opportunity to dose the patient essentially
3 in collaboration with the parents who were
4 reporting on seizure frequencies and side effects.
5 And in many cases, we went high, up to
6 50 milligrams per kilogram per day in the EAP, and
7 then if we didn't see benefits, we would
8 traditionally come down on dose.

9 So there are many, many patients in whom
10 I've tried to go down towards a dose of 5 or
11 2 milligrams per kilogram per day, and in the large
12 majority of those, seizures increased, and I had to
13 go back up on the dose. Again, it's anecdotal, but
14 I think more studies would be needed to define if
15 there are lower doses that would be equally
16 effective.

17 DR. ALEXANDER: Thank you very much.

18 Are there any additional comments from the
19 FDA regarding this morning's discussion thus far?

20 (Dr. Dunn gestures no.)

21 **Question to the Committee and Discussion**

22 DR. ALEXANDER: Okay. In that case, we will

1 now proceed with the question to the committee and
2 panel discussions. I'd like to remind public
3 observers that while this meeting is open for
4 public observation, public attendees may not
5 participate except at the specific request of the
6 panel.

7 We will be using an electronic voting system
8 for this meeting. Once we begin the vote, the
9 buttons will start flashing and will continue to
10 flash even after you've entered your vote. Please
11 press the button firmly that corresponds to your
12 vote. If you're unsure of your vote or you wish to
13 change your vote, you may press the corresponding
14 button until the vote is closed.

15 After everyone has completed their vote, the
16 vote will be locked in. The vote will then be
17 displayed on the screen. The designated federal
18 officer will read the vote from the screen into the
19 record. Next, we will go around the room and each
20 individual who voted will state their name and vote
21 into the record. You can also state the reason why
22 you voted as you did if you want to. We'll then

1 continue in the same manner until all questions
2 have been answered or discussed.

3 So if there are no questions or comments
4 concerning -- so the question to the committee is,
5 is the benefit-risk profile of cannabidiol
6 favorable for the treatment of seizures associated
7 with LGS or DS in patients 2 years of age and
8 older?

9 If there are no questions or comments
10 concerning the wording of the question, we'll now
11 open the question to discussion. So in other
12 words, this is an opportunity for us to discuss
13 before the vote if there are clarifying questions.
14 And of course, we're not interested in how you
15 would vote on this question, but rather if there
16 are any clarifications to the question; otherwise,
17 we would vote first, and then have an opportunity
18 to explain the rationale for our votes.

19 (No response.)

20 DR. ALEXANDER: Okay. So if there's no
21 further discussion, we'll now begin the voting
22 process. Please press the button on your

1 microphone that corresponds to your vote. You will
2 have approximately 20 seconds to vote. Please
3 press the button firmly, and after you've made your
4 selection, the light may continue to flash. If
5 you're unsure of your vote or you wish to change
6 your vote, please press the corresponding button
7 again before the vote is closed.

8 (Voting.)

9 DR. ALEXANDER: Everyone has voted. The
10 vote is now complete. Now that the vote's
11 complete -- you want to read it into the record?

12 DR. CHOI: For the record, we have 13 yes,
13 zero no vote, zero abstentions.

14 DR. ALEXANDER: Now that the vote is
15 complete, we'll go around the table and have
16 everyone who voted state their name, vote, and if
17 you want to, you can state the reason why you voted
18 as you did into the record. Why don't we begin
19 here at this end?

20 DR. HOFFMANN: Richard Hoffmann. I voted
21 yes. It was really a no-brainer for me. There's
22 three high-quality studies of large sample size for

1 a rare disease, the robust efficacy results of
2 around 20 percent across all three studies, and the
3 adverse drug reaction potential is very manageable
4 I think with labeling and education. Thank you.

5 MS. BOYCE: Danielle Boyce. Yes.

6 DR. de WIT: Harriet de Wit, and yes, I
7 think the case has been made, and there's obviously
8 a real need, and the safety concerns are negligible
9 to my mind.

10 DR. ACRI: Jane Acri. I voted yes. Again,
11 I feel that the efficacy was well demonstrated and
12 the safety concerns could be managed with labeling
13 and monitoring.

14 DR. MENDELSON: John Mendelson. I voted
15 yes. This is clearly a breakthrough drug for an
16 awful disease. The presenters from the community
17 were fantastic. I think the question will be
18 phase 4 monitoring for safety since it's a very
19 novel drug with novel mechanisms of action. But at
20 this point, this is a spectacular advance.

21 DR. KRYSICIO: Richard Kryscio, a yes vote
22 for reasons already stated.

1 DR. YEH: Ann Yeh, a yes vote for all the
2 previous reasons.

3 DR. ONYIKE: Yes, the data for benefit is
4 very, very clear. It's not disputed. And there's
5 nothing new regarding the adverse effects. What is
6 put forward is stuff that the medical community and
7 the people who will be living with this drug
8 already know.

9 DR. GREEN: Mark Green. I voted yes. It's
10 really an honor to be part of a meeting that's
11 making an important decision based on science and
12 the public's input rather than a political
13 discussion.

14 DR. ALEXANDER: Caleb Alexander. I voted
15 yes for the reasons stated.

16 DR. KNOPMAN: David Knopman. I voted yes
17 for the reasons stated, and I appreciate the
18 opportunity to have participated.

19 DR. PERLMUTTER: Joel Perlmutter. I voted
20 yes, and an outstanding presentation by both the
21 company and the FDA in reviewing this.

22 DR. CAVAZOS: Jose Cavazos. I voted yes for

1 the same reasons. Thank you.

2 DR. ALEXANDER: Non-voting members, any
3 comments that you'd like to make for the record?

4 DR. GORDON: This is Mark Gordon. I'd like
5 to thank everyone who presented and say that I too
6 feel that this is an important advance for the
7 patients who suffer from these epilepsy syndromes.

8 DR. ALEXANDER: Okay. To summarize, I heard
9 robust efficacy results; clear evidence of benefit;
10 three high-quality studies for rare disease;
11 adverse drug reactions manageable with labeling and
12 education and monitoring; clearly a breakthrough
13 drug, an important advance; presenters and
14 community participants were fantastic; and
15 outstanding presentations by the FDA and the
16 sponsor.

17 Are there any final comments from the
18 advisory committee regarding the conversation we've
19 had thus far?

20 (No response.)

21 DR. ALEXANDER: If not, I'd like to take
22 this opportunity to -- any final comments from the

1 FDA?

2 DR. DUNN: Two comments. The first is that
3 I neglected in my opening remarks -- and was
4 reminded of the need to do this by the public
5 presentations for which I and the FDA people here
6 are very appreciative. I neglected to make
7 explicitly clear that we are reviewing this
8 application on an expedited time line in light of
9 the recognized unmet medical need and the
10 importance of this product for the market. So I
11 wanted to make sure that was understood,
12 particularly to the patient community that is here,
13 is that we have been working internally to
14 accelerate that review process as much as we can.

15 Second, although I offered my advanced
16 thanks to the people affected by these syndromes
17 that are here, particularly after the public
18 testimony, I want to again offer my heartfelt
19 thanks and appreciation on behalf of all of us at
20 FDA. I assure you that your comments are listened
21 to and are terribly, terribly meaningful to us. So
22 thank you very much. And thank you to the

1 committee, as always, for your service.

2 **Adjournment**

3 DR. ALEXANDER: Great. And I just want to
4 echo those thanks. There's an extraordinary amount
5 of work that goes into preparing for this type of
6 meeting, years in the making. So I'd like to thank
7 the sponsor for your hard work, the Food and Drug
8 Administration, the committee, all of the
9 participants that we heard from today, and those of
10 you that are guests that have joined us that we
11 didn't hear from during the open public hearing.

12 So this meeting is now adjourned, and panel
13 members, please take all personal belongings with
14 you as the room is cleaned at the end of the
15 meeting day. All materials left on the table will
16 be disposed of. Please also remember to drop off
17 your name badge at the registration table on your
18 way out so that it can be recycled.

19 We'll now adjourn the meeting. Thank you
20 again.

21 (Whereupon, at 11:45 a.m., the meeting was
22 adjourned.)