

FOOD AND DRUG ADMINISTRATION (FDA)
Center for Biologics Evaluation and Research (CBER)

119th Meeting of the
Blood Products Advisory Committee

July 18, 2018

FDA White Oak Campus
Great Room B
10903 New Hampshire Ave.
Silver Spring, MD 20993

"This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly, the Food and Drug Administration makes no representation as to its accuracy."

TABLE OF CONTENTS

Call to Order and Opening Remarks James Allen, M.D., Ph.D., Acting Chair	1
Conflict of Interest Statement Bryan Emery, LCDR, Designated Federal Officer	4
Topic I: Strategies to Control the Risk of Bacterial Contamination in Platelets for Transfusion	12
Introduction to Topic Emily Storch, M.D., OBRR, FDA	12
Bacterial Culture Testing Strategy Mary Beth Anheuser, bioMerieux	21
Primary Culture, and Secondary Culture on Day 3 Testing Strategy, with Dating to Day 5 Evan Bloch, M.B.,Ch.B. Johns Hopkins University School of Medicine	33
Primary Culture, and Secondary Culture on Day 4 Testing strategy, with Dating to Day 7 Stephen Field, MBChB, MA, MMed, FCPATH (SA) Irish Blood Service	53
Minimal Proportional Sampling Volume Testing Strategy, with Dating to Day 5 Ralph Vassallo, M.D., Blood Systems, Inc.	67
Large Volume and Delayed Sampling Testing Strategy, with Dating to Day 7 Carl McDonald, Ph.D., MSC, BSc, National Health Service Blood Transplant, UK	91
Bacterial Rapid Testing Strategy Michael Jacobs, MD, PhD, FRCPATH, D(ABMM), F(AMM) Case Western Reserve University on behalf of Verax and Immunetics	110
Pathogen Reduction Technology Strategy Richard Benjamin, MD, PhD, Cerus Corporation	143
Open Public Hearing	168
Question for the Committee - Nicole Verdun, MD	207
Open Committee Discussion	208

1 PROCEEDINGS (8:00 a.m.)

2 **Agenda Item: Call to Order and Opening**

3 **Remarks/Introduction of Committee**

4 DR. ALLEN: Good morning. I would like to call
5 the meeting of the July 18, 2018 Blood Products Advisory
6 Committee to order. It is now 6 minutes after 8. I'm Dr.
7 James Allen, the acting chair of this panel for today. I'm
8 a physician trained in public health, spent 20 years with
9 the U.S. Public Health Service, much of it at the Centers
10 for Disease Control, background in hospital infection
11 control, HIV and AIDS, subsequently moved more into
12 administrative stuff, retired from the public health
13 service and had a variety of activities including being the
14 director of health for the state of Arizona for a couple of
15 years, and retired doing public health education. I've
16 been a member of the board of trustees for Blood Systems,
17 Incorporated, for 17 years before being age retired from
18 that in 2016.

19 I would note for the record that the members of
20 this committee today present -- we do constitute a quorum
21 as required by 21 CFR part 14. I would also like to add
22 that the panel participating in the meeting today has
23 received training in FDA device law and regulations. For
24 today's agenda, the committee will discuss strategies to
25 control the risk of bacterial contamination in platelets

1 for transfusion. There is not a primary question to
2 answer. It's a discussion of where we are now and where we
3 need to go.

4 Before we begin, I would like to ask our
5 distinguished panel members and FDA staff seated at this
6 table to introduce themselves. Please state your name,
7 your area of expertise, your position, and affiliation and
8 do so as briefly as possible.

9 Dr. Baker, would you start, please?

10 DR. BAKER: Thank you. My name is Judith Baker.
11 My expertise is in public health. I serve as the public
12 health director for the Center for Inherited Blood
13 Disorders. I'm also affiliated with UCLA, Division of
14 Pediatric Hematology/Oncology, and I work primarily in
15 hemophilia and sickle cell disease.

16 DR. DEMARIA: Al DeMaria. I'm a medical and
17 laboratory consultant at the Massachusetts Department of
18 Public Health.

19 DR. DEVAN: Michael DeVan. I'm the medical
20 director of transfusion service at Walter Reed National
21 Military Medical Center.

22 DR. ESCOBAR: Miguel Escobar, hematologist,
23 University of Texas, director of the Hemophilia and
24 Thrombosis Center.

1 DR. LEITMAN: Susan Leitman, expertise in
2 transfusion medicine, for 30 years deputy director of the
3 Department of Transfusion Medicine at the Clinical Center
4 at NIH, currently with the Medical Scholars Research
5 Program in the Clinical Center at NIH.

6 DR. LEWIS: Roger Lewis. I'm professor and chair
7 in the Department of Emergency Medicine at Harbor-UCLA
8 Medical Center in Los Angeles. My expertise is in clinical
9 trial design and statistics.

10 MR. REES: Robert Rees. I am the manager of the
11 New Jersey state blood bank regulatory and compliance
12 program. My expertise is pretty much in public health and
13 transfusion medicine.

14 DR. STRAMER: Good morning. Susan Stramer,
15 American Red Cross, vice president of scientific affairs.
16 My expertise is in infectious disease testing, and I was
17 trained as a public health microbiologist.

18 DR. HARRELL: Lizzie Harrell, I am formerly the
19 associate director of clinical microbiology at Duke
20 University Medical Center. I am now a research professor
21 emeritus of molecular genetics and microbiology at Duke
22 University, and my expertise is clinical microbiology.

23 DR. ALVING: I am Barbara Alving. I am formerly
24 the director of the Blood Division at NHLBI and acting
25 director. I am a professor of medicine at Uniformed

1 Services University, retired colonel, expertise is in
2 bleeding and clotting disorders.

3 DR. SCHREIBER: My name is Martin Schreiber. I am
4 the chief of trauma at Oregon Health and Science
5 University, and I give people a lot of blood.

6 DR. KAUFMAN: My name is Richard Kaufman. My
7 expertise is in transfusion medicine, and I'm the medical
8 director for the transfusion service at the Brigham and
9 Women's Hospital in Boston.

10 DR. CALIENDO: I'm Angie Caliendo. I'm professor
11 and vice chair of medicine at Brown, and my expertise is in
12 infectious diseases and clinical microbiology.

13 DR. ALLEN: Thank you all. If you have not
14 already done so, please sign the attendance sheets that are
15 on the tables by the doors. Lieutenant Commander Bryan
16 Emery, the designated federal officer for this meeting,
17 will make some introductory remarks.

18 MR. EMERY: Before I get started, is Dr. Jones on
19 the phone?

20 DR. JONES: Yes, this is Jefferson Jones. Trained
21 in pediatrics and public health especially in infectious
22 disease, epidemiology, and outbreak investigations. Thank
23 you. With CDC.

24 MR. EMERY: Thank you, Dr. Jones.

25 **Agenda Item: Conflict of Interest Statement**

1 MR. EMERY: Good morning. I am Bryan Emery. I'm
2 the designated federal official for today's July 18, 2018
3 meeting of the Blood Products Advisory Committee. Mrs.
4 Joanne Lipkind is the committee management specialist, and
5 she and Angelica can assist you with any of your needs at
6 the tables located in the hall. Also, working with them is
7 my division director Dr. Atreya and they can answer any of
8 your needs at this time.

9 I would like to welcome all of you today to the
10 119th meeting of the advisory committee held in the FDA
11 White Oak Great Room. Dr. James Allen is our acting Blood
12 Products Advisory Committee chair for today. The CBER
13 press media contact is Ms. Megan McSeveney, who is in the
14 audience, I believe. Actually, I do not see her at this
15 time, and Chandra Chhay is our transcriber.

16 I would like to request that everyone please
17 check your cellphones and pagers to make sure that they are
18 turned off or in silent mode. Please also remember to
19 speak directly into the microphone at all times and please
20 identify yourself. This is helpful for the public and the
21 people attending by webcast and the transcriber, for the
22 members around the table, and the audience.

23 Coffee, drinks, and snacks are out the door and
24 to the right, located at the kiosk. Members lunches will
25 also be brought to the back of the kiosk right before we

1 break for lunch, and we will have reserved a back room for
2 the members to eat at. These lunches must be purchased
3 ahead of time at the kiosk. There are restrooms out the
4 doors and to the right at the end of the hall.

5 All committee topic discussion needs to be done
6 in a public forum and not in groups during breaks. The FDA
7 and public need your advice, thoughts, and expertise.
8 There are also two public written statements that can be
9 viewed at the table outside the meeting room today.

10 The public and industry must stay behind the
11 stanchions and in the audience area. Please do not enter
12 into the FDA or Blood Products Advisory Committee area.
13 Please wait until the open public hearing designated time
14 to make any remarks, using the center aisle microphone when
15 invited by the chair.

16 Now I would like to read into record, the public
17 record, the conflict of interest statement for this
18 meeting.

19 Good morning, everyone, I am Lieutenant Commander
20 Bryan Emery, the designated federal officer for this Blood
21 Products Advisory Committee meeting of the Center for
22 Biologics Evaluation and Research. FDA and I welcome you
23 all to the 119th meeting of the Blood Products Advisory
24 Committee being convened by the Food and Drug
25 Administration both today, July 18, 2018, and tomorrow,

1 July 19, 2018, under the authority of the Federal Advisory
2 Committee Act of 1972.

3 This meeting is open to the public in its
4 entirety, and all members and consultants are participating
5 in person today. In the open session, the committee will
6 discuss topic I, the bacterial risk control strategies for
7 blood collection establishments and transfusion services to
8 enhance the safety and availability of platelets for
9 transfusion. Tomorrow on July 19, 2018, the committee will
10 function as a medical device panel and meet in open session
11 to discuss and advise on topic II, the device
12 reclassification from class III to class II of nucleic acid
13 serology-based point of care and laboratory-based in vitro
14 diagnostic devices indicated for the use as aids in the
15 diagnosis of human immunodeficiency virus infection.

16 The following information on the status of this
17 advisory committee's compliance with federal ethics and
18 conflict of interest laws including, but not limited to, 18
19 U.S. Code 208, is being provided to participants at this
20 meeting and to the public. This conflict of interest
21 statement will be available for public viewing at the
22 registration table. With the exception of the industry
23 representatives, all participants of the committee are
24 either special government employees or regular federal
25 government employees from other agencies and are subject to

1 the federal conflict of interest laws and regulations
2 related to the discussion topics.

3 At this meeting all members and consultants of
4 this committee have been screened for potential financial
5 conflict of interest of their own, as well as those imputed
6 to them, including those of their spouse and minor
7 children, and, for the purposes of 18 U.S. Code 208, their
8 employers.

9 These interests may include investments,
10 consulting, expert witness testimony, contracts and grants,
11 CRADAs, teaching, speaking, writing, patents, and royalties
12 and primary employment. FDA has determined that all
13 members of the advisory committee are in compliance with
14 federal ethics and conflict of interest laws under 18 U.S.
15 Code 208.

16 Congress has also authorized FDA to grant waivers
17 to special government employees and regular government
18 employees who have financial conflicts which, when it is
19 determined that the agency's need for a particular
20 individual's service outweighs his or her potential
21 financial conflict of interest. However, based on today's
22 agenda and all financial interests reported by members and
23 consultants, no conflict of interest waivers were issued
24 under 18 U.S. Code 208.

1 Dr. James Allen is a temporary voting member and
2 serving as the acting chairperson for topic I. He is an
3 appointed special government employee. His financial
4 interests were screened and cleared prior to his
5 participation in this meeting.

6 Dr. Susan Stramer is currently serving as the
7 industry representative to this committee. Dr. Stramer
8 serves as an executive science officer at the American Red
9 Cross. Industry representatives are not appointed special
10 government employees and, hence, they are not voting
11 members and they do not participate in closed sessions.

12 Dr. Judith Baker is a voting member and is
13 serving as the consumer representative for topic I during
14 this meeting. She is employed by Western States Regional
15 Hemophilia Network and Policy. Dr. Baker is appointed as a
16 special government employee and therefore is screened for
17 her financial conflict of interest and cleared prior to her
18 participation.

19 At this meeting, there may be invited regulated
20 industry guest speakers and other outside organization
21 speakers making presentations. These speakers may have
22 financial interests associated with their employer and with
23 other regulated firms. The FDA asks in the interest of
24 fairness that they address any current or previous
25 financial involvement with any firm whose product they may

1 wish to comment upon. These individuals were not screened
2 by the FDA for conflict of interest.

3 FDA encourages all other participants to advise
4 the committee of any financial relationships that you may
5 have with any firms, its products, and if known, its direct
6 competitors. We would like to remind members, consultants
7 and participants that if the discussions involve any other
8 products or firms not already on the agenda but for which
9 an FDA participant has a personal or imputed financial
10 interest, the participants need to exclude themselves from
11 such involvement and their exclusion will be noted for the
12 record.

13 Additionally, I would like to provide the
14 following specific guidance regarding this July 18 and 19
15 BPAC meeting. Please note that each of the topics, namely
16 topic I and II of this meeting are determined to be a
17 particular matter of general applicability and, as such,
18 does not focus its discussion on any particular product but
19 instead focuses on the classes of products under
20 discussion.

21 Presenter speakers may provide data on products
22 if any that will serve only as examples for the committee
23 to have a scientific discussion. Please note that this
24 BPAC meeting is not being convened to recommend any action
25 against or approval for any specific product. This BPAC

1 meeting is not being convened to make specific
2 recommendations that may potentially impact any specific
3 party entity, individual, or firm in a unique way, and any
4 discussion of individual products will be only to serve as
5 an example of the product class. This meeting of the BPAC
6 does not involve the approval or disapproval, labeling
7 requirements, post-marketing requirements, or related
8 issues regarding the legal status of any specific products.
9 This concludes my reading of the conflicts of interest
10 statement for the public record.

11 At this time, I would like to thank you all for
12 your participation and I now hand this meeting over to Dr.
13 Allen.

14 DR. ALLEN: Thank you. We will now begin our
15 discussion with the formal presentations on the strategies
16 to control the risk of bacterial contamination in platelets
17 for transfusion. Dr. Jones, since you are on the
18 telephone, during the discussion periods, if you want to
19 make a comment or ask a question, please sing out, because
20 I can't see you requesting recognition.

21 Our first speaker today is Dr. Emily Storch who
22 will introduce the topic for us. She is with Office of
23 Blood Research and Review, Food and Drug Administration.

1 **Agenda Item: TOPIC I: Strategies to Control the**
2 **Risk of Bacterial Contamination in Platelets for**
3 **Transfusion**

4 **Introduction to Topic**

5 DR. STORCH: Good morning. My name is Emily
6 Storch, and I'm a medical officer in the Division of Blood
7 Components and Devices. Today, I will be providing an
8 introduction on this morning's topic, Strategies to Control
9 the Risk of Bacterial Contamination in Platelets.

10 The issue for discussion is that bacterial
11 contamination of platelets remains a concern. While the
12 Blood Products Advisory Committee has previously discussed
13 some strategies to reduce bacterial contamination in
14 platelets, there has not been an opportunity for the
15 committee to discuss all available strategies in one
16 session. These strategies include culture-based methods,
17 rapid-testing methods, and pathogen reduction technology.

18 FDA intends to issue a revised draft guidance on
19 strategies to control the risk of bacterial contamination
20 in platelet products. The specific question for the
21 committee is to please comment on the advantages and
22 disadvantages of each of the various strategies to control
23 the risk of bacterial contamination in platelets, including
24 the scientific evidence and the operational considerations
25 involved.

1 For some background, bacterial contamination of
2 platelets remains a leading cause of septic transfusion
3 reactions and fatalities. Platelet storage conditions are
4 conducive to bacterial growth for multiple reasons, among
5 them that platelets are stored at room temperature with
6 agitation and in oxygen permeable bags. To address this
7 issue, blood establishments have implemented various
8 strategies to reduce the risk.

9 FDA has also taken steps to address this issue.
10 The regulation on control of bacterial contamination in
11 platelets is found in 21 CFR 606.145(a) which states blood
12 establishments and transfusion services must assure that
13 the risk of bacterial contamination of platelets is
14 adequately controlled using FDA approved or cleared devices
15 or other adequate and appropriate methods found acceptable
16 for this purpose by FDA. FDA states in the preamble to
17 this regulation that this requirement can be met by testing
18 for bacterial contamination or pathogen reduction.

19 FDA's efforts to address the risk of bacterial
20 contamination in platelets include a BPAC meeting held in
21 2012 where it was determined that additional measures were
22 needed for 5-day platelets. The committee advised
23 secondary rapid testing on day 4 or 5.

24 This was followed by a draft guidance published
25 in 2014 with recommendations including BPAC's 2012 advice.

1 In March 2016, FDA revised the draft guidance to include
2 pathogen reduction of 5-day platelets and 7-day storage
3 when platelets are retested with a device labeled as a
4 safety measure.

5 In May 2016, the donor eligibility rule became
6 effective, including 21 CFR 606.145, requiring the control
7 of bacterial contamination of platelets. Most recently, a
8 BPAC was held in November of 2017, where additional culture
9 based strategies were presented based on comments to the
10 2016 draft guidance and newly available data. The
11 committee supported additional culture-based strategies.
12 At this meeting today, we will ask the committee to discuss
13 all available strategies to control the risk of bacterial
14 contamination in platelets.

15 Current platelet dating is a maximum of five to
16 seven days, based on the preparation method, storage
17 container, and bacterial testing. For 5-day storage,
18 primary culture is performed no earlier than 24 hours after
19 collection, or the platelets are treated with an FDA
20 approved pathogen reduction device within 24 hours of
21 collection. For 7-day storage, primary culture is
22 performed as well as additional secondary testing, using a
23 test labeled as a safety measure when collected into an
24 appropriately labeled container.

1 Although several strategies to control the risk
2 of bacterial contamination of platelets are available,
3 common practice in the United States is to perform primary
4 culture alone. This method generally uses only aerobic
5 media. The sample size is 8 to 10 milliliters, taken at
6 least 24 hours after collection. The culture is incubated
7 at least 12 hours and found negative before distributing
8 components.

9 Looking at bacterial contamination of platelets
10 through fatalities reported to the FDA of septic reactions
11 from apheresis platelets, you can see that existing
12 strategies have reduced the number of fatalities but risk
13 remains. This reduction in risk coincides with AABB's
14 standard 5.1.5.1, which became effective in 2004, calling
15 upon blood establishments and transfusion services to limit
16 and detect bacterial contamination of platelets.

17 As you can see from the graph, before full
18 implementation of the standard, there were six to eight
19 fatalities per year, whereas after full implementation
20 around 2005, fatalities decreased to zero to four per year.
21 Data show that with current primary culture practice,
22 bacteria are detected in approximately 1 in 5,000 donations
23 at the time of sampling. Reported septic transfusion rates
24 by passive surveillance are 1 out of 100,000 and by active
25 surveillance 1 out of 10,000.

1 There are, however, some limitations of current
2 primary culture practices in that there is a residual risk
3 of septic transfusion reaction at the time of transfusion.
4 As you can see from the top diagram, when you have low
5 numbers of bacteria early in shelf life, you are less
6 likely to transfer the bacteria to your sample and be able
7 to detect contamination, leading to a high likelihood of
8 false negatives.

9 There is also a lag time between inoculation and
10 growth as shown in the bottom diagram. Here is the lag
11 phase. This lag time is species dependent, but for all
12 organisms, detection is most likely during the exponential
13 phase of growth as seen here, whereas false negatives are
14 likely during the lag phase. A correlate of this is that
15 clinical sensitivity of day one culture for contamination
16 of platelets on days five to seven has been shown to be
17 less than 40 percent.

18 FDA cleared and approved devices for bacterial
19 contamination in platelets fall into three categories.
20 Bacterial culture, rapid testing, and pathogen reduction.
21 The following slides will give an overview of strategies to
22 be discussed in today's meeting as shown in the table. The
23 invited speakers will describe studies related to these
24 strategies.

1 Starting with strategies to control the risk of
2 bacterial contamination in 5-day platelet products. The
3 first strategy we will discuss is primary culture, followed
4 by secondary culture, on day three. In this strategy,
5 primary culture is performed at least 24 hours after
6 collection using an 8 milliliter sampling volume collected
7 into aerobic media. Secondary culture in contrast is
8 performed on day three after collection, using a 5-
9 milliliter sample, also collected into aerobic media.

10 The next strategy to be discussed is primary
11 culture followed by secondary rapid testing on the day of
12 transfusion. In this strategy, primary culture is
13 performed using the same standard methodology. Secondary
14 rapid testing is performed within 24 hours of transfusion
15 using a .5 milliliter sampling volume.

16 The next strategy for discussion is culture based
17 on a minimal proportional sampling volume. This strategy
18 comprises a single culture with no secondary testing
19 whereby the sampling volume is increased proportionally to
20 the collection volume. In this strategy, the sample volume
21 is at least 3.8 percent, taken 24 to 36 hours after
22 collection. The sample is inoculated into one to three
23 aerobic culture bottles, depending on the final volume
24 collected, and stored for up to five days.

1 The last strategy to be discussed for 5-day
2 platelets is pathogen reduction technology. There's
3 currently only one device approved by the FDA. This device
4 is based on amotosalen/UVA technology, which as you can see
5 in the diagram involves treatment with amotosalen,
6 targeting the nucleic acids and causing intercalation.
7 Then following UVA illumination, there's crosslinking the
8 nucleic acids, and subsequent replication is blocked. The
9 intended use of pathogen reduction technology is to reduce
10 the risk of transfusion transmitted infection, including
11 sepsis, and is performed within 24 hours of collection.

12 Now I will outline the strategies to control the
13 risk of bacterial contamination in 7-day platelet products
14 for discussion today. The first method for 7-day storage
15 is primary culture followed by secondary culture performed
16 on day four. In this strategy, primary culture is
17 performed 12 to 24 hours after collection for apheresis
18 platelets and 36 to 48 hours after collection for platelet
19 pools. The size of the sample is 16 milliliters, which is
20 divided evenly, 8 milliliters into an aerobic medium and 8
21 milliliters into an anaerobic medium. There's no hold
22 period after testing of bottles, which means that units are
23 immediately released after sampling.

24 Secondary culture is then performed on day four.
25 Each apheresis product and each pooled product is sampled.

1 Again, the sample volume is 16 milliliters, divided 8
2 milliliters into an aerobic medium and 8 milliliters into
3 an anaerobic medium.

4 The next strategy to be discussed for 7-day
5 platelets is primary culture followed by secondary rapid
6 testing to extend beyond day 5 up to day 7. This is the
7 same strategy as mentioned as rapid testing for 5-day
8 platelets, with the exception that for 7-day platelets
9 secondary rapid testing is performed with a test labeled as
10 a safety measure.

11 The last strategy to be discussed is large volume
12 delayed sampling. This strategy entails a single culture
13 to allow storage to seven days. The sample is taken 36 to
14 48 hours after collection using 16 milliliters from each
15 component. The sample is inoculated into aerobic and
16 anaerobic culture media and held for six hours after
17 sampling.

18 There are, however, some challenges in strategy
19 comparison and the committee is not being asked to make
20 direct comparisons. The studies on the strategies are not
21 directly comparable, because many variables affect
22 bacterial contamination and/or detection rates. For
23 example, the apheresis technology used, the timing of
24 sample collection, sample volume, whether aerobic and/or

1 anaerobic culture are used, as well as techniques of skin
2 preparation and diversion.

3 There are changes in standards and practices over
4 time, and definitions and reporting of septic transfusion
5 reactions vary. Different outcomes are evaluated and,
6 importantly, different strategies have not been evaluated
7 in parallel.

8 In summary, bacterial contamination of platelets
9 remains a leading cause of septic transfusion reactions and
10 related fatalities. Today's speakers will present
11 available strategies that could further reduce the risk of
12 bacterial sepsis due to contaminated platelets.

13 The following slides show a list of today's
14 speakers, which you can review in your handouts.

15 Finally, to reiterate the question, today we are
16 asking the committee to please comment on the advantages
17 and disadvantages of each of the various strategies to
18 control the risk of bacterial contamination in platelets,
19 including the scientific evidence and the operational
20 considerations involved. For your review, I will leave the
21 last slide up listing the various strategies to control the
22 risk of bacterial contamination in platelets for discussion
23 during this meeting.

24 Thank you.

1 DR. ALLEN: Microphone system seems to be down.

2 Thank you, Dr. Storch, for that presentation.

3 (Off mic comments.)

4 **Agenda Item: Bacterial Culture Testing Strategy**

5 MS. ANHEUSER: Good morning. Thank you for
6 allowing me to address this committee and tell you about
7 the use of the BACT/ALERT, BPA and BPN culture bottles for
8 secondary safety measure testing of platelets.
9 Specifically, I would like to tell you about the approach
10 to developing this test and then give you an overview of
11 the supporting data.

12 I should back up. I did forget to introduce
13 myself, Mary Beth Anheuser, with bioMerieux. I am
14 currently working in regulatory affairs as a regulatory
15 affairs specialist. My background is in microbiology, and
16 I had the opportunity to spend about 10 years in research
17 and development working with the BACT/ALERT.

18 The BACT/ALERT 3D systems have been widely used
19 for in-process QC testing of platelets since 2004, when the
20 AABB standard requiring 100 percent screening of platelets
21 became effective. In 2005, the BPA and BPN bottles were
22 cleared for use as an in-process method for testing
23 leukocyte-reduced apheresis platelets and leukocyte-reduced
24 single units and pools of up to six units of leukocyte-
25 reduced whole blood platelet concentrates.

1 As we're all aware, in March of 2016 version two
2 of the draft guidance came out, and although the draft
3 guidance had many important points, there were three that
4 we focused on. The first point was, that told us -- that
5 was the why of a safety measure test. This was why we
6 needed to do this. The second point was the how of the
7 test. What was going to be our objective? What did we
8 need to do? And the third statement told us that we had
9 some work to do. So, we got busy.

10 Our objective was clear. We needed to provide
11 evidence that the BACT/ALERT 3D systems are an effective
12 safety measure for secondary testing of platelet products,
13 to extend the platelet dating beyond day 3 and up to day 7.
14 Then we had to decide what was going to be our method.
15 With almost 14 years of the 3D being in the market for
16 blood bank applications and hundreds of publications
17 associated with it, we decided to go to the literature for
18 the data. We felt that that would be the most
19 comprehensive and efficient way to develop this test.

20 We did a literature review and we also queried
21 customers for data associated with unpublished studies that
22 could be used to accomplish this. We identified nine
23 publications and two unpublished studies that met this
24 criteria.

1 Our success criteria for developing the test was
2 going to be that we could show the benefit of the 3D for
3 detecting contamination that was not revealed in previous
4 testing, but we also needed to show clinical specificity.
5 The next challenge was, once we had identified the studies
6 and the data, how were we going to summarize that data so
7 it was meaningful for the performance of the system?

8 Every publication was a little bit different in
9 the way they presented the data. In general, most of the
10 researchers presented the platelet test results based on
11 the AABB definitions. What we did then, was we needed to
12 make some assumptions, because maybe the details weren't
13 the same, so we said, okay, we're going to present the data
14 as the number of tests, the number of positive tests by the
15 number of tests that were performed.

16 Since the data of interest are secondary and QC
17 surveillance test results, it was assumed that one test was
18 performed per platelet unit and that all units eligible
19 logically were negative by the primary test. Since the
20 objective was to look at the instrument performance -- in
21 the studies, the researchers tended to use different
22 protocols. Some researchers used 4 mls in aerobic bottles,
23 but then others used as much as 10 mls in an aerobic bottle
24 and an anaerobic bottle, with different volumes in between.
25 What we decided was that wasn't our focus. The protocol

1 used to identify the contamination wasn't the focus; it was
2 the performance of the instrument.

3 The next step in the process was to take the data
4 from the studies and put them into meaningful instrument
5 definitions. We evaluate the performance of the instrument
6 as instrument true positive, instrument false positive,
7 instrument true negative, and instrument false negative.

8 For the true positives, essentially we took any
9 bottle that was positive in the study, no matter what the
10 source of the contamination was, that that was a true
11 instrument positive. For the false positive, this is where
12 the bottle is signaled as positive by the instrument, and
13 no organism is recovered from the subculture. This
14 included platelet results designated false positive due to
15 instrumentation error, where that was called out in the
16 studies. The instrument true negative and the instrument
17 false negative, these were measures of performance that we
18 couldn't get from the study data, because typically, our
19 customers are not going to subculture a negative bottle.
20 So, we looked to internal performance validation studies
21 for that data.

22 With the 11 studies, and like I said, this is
23 just the overview. This is a summary. We looked at the
24 data many different ways in the actual report, but we were
25 able to look at results from 217,932 tests, and of that we

1 had 174 true instrument positives. Testing in the studies
2 was performed on day 3, day 4, or on day 6 or later. That
3 was associated with the QC surveillance testing. We were
4 pleased with the volume of data that we were able to pull
5 from the publications.

6 You can also see from this slide at the bottom,
7 we broke out how many tests of each type of platelet. Now,
8 on the third type, right here, the apheresis plus the buffy
9 coat, they were not pulled out in this situation, because
10 in the publications the researchers didn't pull them out,
11 so we couldn't either.

12 The next thing that we looked at, we said, okay,
13 is there anything that could affect the ability of the
14 system to detect contaminants later in the shelf life of
15 the platelets? So we wanted to look at if age of platelet
16 affected recovery. So, we did a seeded study. In this
17 study, we had platelet units, and we tested those on days
18 3, 4, and 5. They were placed on rockers between each day
19 of testing. And we had 100 percent recovery. The biomath
20 folks applied a chi-squared statistic to the data to see if
21 age of platelets had an effect on time to detection. And
22 if we had p values that were greater than .05, there was no
23 statistically significant effect. This data further
24 supports that age of platelets is not going to impact the

1 instrument's or the system's ability to detect
2 contamination.

3 The next thing that we looked at, that we pulled
4 from the studies -- so there were 11 studies. Eight of the
5 11 studies, the researchers listed out the contaminants
6 that they identified. Five of those eight further gave us
7 the times to detection. This table is a summary of that
8 information. What you'll see is that 44 of the 77
9 contaminants were staph species, and in general, those
10 clinically significant contaminants were detected in less
11 than or equal to 27 hours on the system.

12 Then we went on to instrument specificity. Five
13 of the 11 studies included data on false positive results.
14 What we found was, you can see that we had 19,404 units
15 tested on day 3, with no false positives, over the course
16 of the study. Where they were tested at 6 days or later,
17 the over 48,000, there was 180 false positives. If you
18 look up at this line up here, this will tell you, by day,
19 what the false positive rate was. You'll notice, too, that
20 these two values, that was actually one site.

21 What we arrived at is that our overall false
22 positive rate is .27 percent. However, we do have, broken
23 out by platelet type, you can see that for the LRAP
24 platelets we had from 0 to 1.1 percent for the range.
25 Actually that's a good way of looking at the overall data.

1 Again, at the bottom here, we did a breakdown of the types
2 of platelets and how many tests per type.

3 The next was instrument sensitivity, and as I
4 stated before, you know, typically our customers are not
5 going to subculture negative bottles. So we looked at our
6 internally sponsored data, and what we found is that there
7 were no false negatives observed in seeded studies or in
8 negative controlled bottles. Because when we typically do
9 performance studies, we subculture the negative bottles.
10 But if it's a seeded bottle and it's negative, it's
11 subcultured. If it's subculture-negative, then it's a true
12 negative.

13 In summary, the contaminants missed during
14 primary quality control testing were detected by the
15 BACT/ALERT 3D system when secondary testing was initiated
16 on days 3 through 8 post-collection. Staph species were
17 the most prevalent contaminants reported during secondary
18 testing, and these species were detected from 3.1 to 26.6
19 hours. Propionibacterium species were the second-most
20 prevalent contaminants identified. These slower-growing
21 organisms were detected in 3 to 7 days. And although this
22 would not allow for the unit to be interdicted, performing
23 the safety measure test could provide valuable information
24 to initiate patient follow-up that could otherwise be
25 delayed.

1 For the overall false positive rate, the current
2 BPA/BPN bottle IFUs report an overall false positive rate
3 of 0.1988 percent with a range of 0 to 0.49 percent. This
4 was based on testing platelets on day 2 after collection.
5 The overall false positive rate in the studies, as related
6 to secondary safety measure tests, indicate an overall rate
7 of 0.27, with a range of 0 to 1.1 percent. There were no
8 false negative results reported in the performance
9 validation studies.

10 Our conclusion is that the BACT/ALERT system is
11 an effective safety measure for secondary testing of
12 platelet products, and we received clearance for the BPA
13 and BPN culture bottles for this use on February 1, 2018.

14 DR. ALLEN: Thank you for your presentation.
15 We're open for discussion. Questions from the committee
16 members? There are no questions or comments from the
17 committee at this point. Yes, Dr. Caliendo.

18 DR. CALIENDO: Angie Caliendo. When you do your
19 seed studies, for sensitivity, what's the lowest
20 concentration that you seed with?

21 MS. ANHEUSER: In the most current studies we use
22 the BioBall product where it was available, and we had a
23 target level of 3 CFU per ml.

1 DR. HARRELL: Lizzie Harrell. I'm curious to
2 know; did you purposely decide not to do any anaerobic
3 culturing?

4 MS. ANHEUSER: Actually, we do anaerobic
5 culturing. All of our seeded studies include a bottle
6 pair, and in this particular study, when we did the safety
7 measure claim, when we looked at the study data, this
8 speaks back to what I mentioned about, we did not consider
9 the study protocols because everybody was doing their own
10 thing. Some people used 4 mls in just an aerobic bottle,
11 some people used as much 10 mls per bottle pair. So we
12 decided that -- and that's what we've always recommended,
13 is that a bottle pair be used. If we refer back to the
14 slide on the contaminants that were isolated, there are
15 some anaerobes in there. So, it further supports the
16 bottle pair. And in fact our clearance is based on using a
17 bottle pair.

18 DR. STRAMER: Just one point of clarification
19 regarding the AABB standard 5.1.5.1. From AABB in 2004, it
20 initially was defined as, and it still is, limit and
21 detect. So, it wasn't initially required to do testing,
22 testing as in bacterial culture. You can do pH
23 measurements, or glucose, or swirling. So, it recommended
24 some measure that AABB institutions use to limit and
25 detect, later it was expanded and further clarified,

1 expanded to include pathogen reduction, as used outside of
2 the United States. But I just wanted that clarification
3 for the record.

4 DR. ALLEN: Thank you.

5 Let me just remind committee members, as well as
6 any other speakers, please identify yourself with name and
7 affiliation, because this is being transcribed and we need
8 to get that accurately.

9 Dr. Jefferson, from the Centers for Disease
10 Control.

11 DR. JONES: Yes, Jefferson Jones, CDC. For the
12 BACT/ALERT package insert and instructions, this is kind of
13 related to the question a couple questions back, about
14 anaerobic cultures. I was wondering, because the
15 discussion today we'll talk about many strategies,
16 including whether or not to include anaerobic cultures. On
17 the package insert, it looks like you strongly recommend
18 doing both aerobic and anaerobic, and then instructions for
19 gathering blood cultures from patients, kind of standard
20 patient blood cultures, also appear to strongly recommend
21 doing both aerobic and anaerobic cultures.

22 I was wondering if you could comment on where
23 those recommendations come from, the data to support it,
24 and how you think that might fit in to recommending
25 anaerobic cultures in general, when BACT/ALERT is used.

1 MS. ANHEUSER: When the BPA and BPN bottle were
2 originally cleared, in the studies that supported it a
3 bottle pair was done, and so the recommendation was based
4 on that -- was developed at that time. So, it's years ago.

5 When our new package inserts come out with the
6 safety measure test, it's no longer -- for the safety
7 measure test -- it's no longer a recommendation. It's
8 required, if it's going to be used as a safety measure,
9 that a bottle pair is used. Does that answer your question
10 sufficiently?

11 DR. JONES: Jefferson Jones, CDC. Is the data
12 supporting using both because that's how it was tested
13 originally, and nothing more?

14 MS. ANHEUSER: No, there have been situations
15 where there have been septic transfusion reactions that
16 were based with anaerobes, and as I understand, it's not as
17 common. I'm not really an expert in that area. But it
18 makes sense. Some of the staph and the strep that were
19 isolated as contaminants prefer the reduced oxygen
20 environment of the anaerobe, so I think it was a
21 combination of those things, where it was a recommendation,
22 but not required.

23 DR. ALLEN: Thank you. We have two speakers who
24 are requesting recognition from the audience. This is
25 supposed to be a committee discussion time. We are okay on

1 time, so very quickly, please ask your question, identify
2 yourself.

3 DR. WAGNER: Hi, my name is Steve Wagner, I'm with
4 the American Red Cross, and I understand that the
5 literature study that you did involved many different
6 countries, possibly, and many different conditions. But
7 one of the things that I noticed is that the risk as
8 measured on day 6, which was 0.12 percent, of true
9 positives, was three times the amount that was measured on
10 day 4, which was 0.04. Could you comment on any increased
11 risk of detecting slow-growing bacteria that might have
12 longer lag times, with continued storage beyond day 5?

13 MS. ANHEUSER: I think the data speaks to that
14 point. It is what it is. I think that we know, and
15 especially the fact that the staph, I think, that this goes
16 along with what's in the literature, is that the gram
17 negatives come up quickly early in the shelf life, and it's
18 the gram positives that have the extended lag period. So,
19 I don't know what to say, other than that I think there is
20 a risk. I think that the data speaks to that.

21 DR. ALLEN: Thank you very much. We are going to
22 have to cut it at this point. We do have the open public
23 hearing later, early afternoon, and would appreciate your
24 comments then. Thank you, I appreciate that it clarifies
25 the point, I saw when you rose that it was in response to a

1 particular point. We would like to hear that during the
2 open public hearing, please. Thank you.

3 Our next speaker is Dr. Evan Bloch, from Johns
4 Hopkins University School of Medicine. He is speaking on
5 primary culture and secondary culture on three-day testing
6 strategy, with dating to day 5.

7 **Agenda Item: Primary Culture, and Secondary**
8 **Culture on Day 3 Testing Strategy, with Dating to Day 5**

9 DR. BLOCH: First, thank you very much to the
10 committee for the kind invitation to speak. I am Evan
11 Bloch. I'm assistant professor in the Department of
12 Pathology at Johns Hopkins University School of Medicine.
13 I'm also the associate director of Transfusion Medicine.

14 Today, I'm going to presenting on implementation
15 of a secondary culture system for detection of bacteria.
16 I'm going to try to present this in the context of
17 alternative strategies to contend with residual risk of
18 bacterial contamination, presenting some of the data from
19 studies which support this approach, actually support any
20 laboratory-based approach, acknowledging the limitations or
21 advantages of each.

22 Just some disclosures. I'm a coinvestigator on
23 the MiPlate trial, which is a randomized control trial to
24 evaluate the Mirasol pathogen reduction system, comparing
25 pathogen-reduced platelets with standard apheresis

1 platelets. I'm also a coinvestigator on a DoD-funded study
2 to evaluate pathogen-reduced whole blood in Uganda, which
3 is due to start later this year. And I have no financial
4 disclosures.

5 The first study which I'm going to present, from
6 Hopkins, which is soon to be in press, which is on
7 transfusion reactions at Johns Hopkins Hospital over an
8 eight-year period. It was a retrospective analysis. We're
9 fortunate at Hopkins that all suspected transfusion
10 reactions are routinely investigated, both through review
11 of clinical presentation and clerical checks, but we have
12 gram stain and bacterial culture of all suspected
13 transfusion reactions, of residual blood from the
14 transfused product, or the associated blood bag.

15 Then we classify those reactions based on
16 criteria from the CDC, the hemovigilance criteria, and then
17 for the purpose of this study we defined reactions as
18 BCPTR, which is bacterial culture positive transfusion
19 reactions, which is, when we had a positive culture in the
20 product or bag, the recipient, or both, and then a septic
21 transfusion reaction, which represents a subset of those,
22 when there was concordant culture positivity between the
23 product and recipient, meeting definite criteria for a
24 septic transfusion reaction, which had since been renamed

1 transfusion-transmitted infection by the CDC criteria.
2 Both by the definition criteria, as well as imputability.

3 Over that eight-year period there were almost
4 700,000 blood products transfused. There were 3,170
5 transfusion reactions reported, 18 of which were blood
6 culture positive, and 7 of those 18 conformed to a
7 definition of septic transfusion reaction. As to be
8 expected, the overwhelming majority were from apheresis
9 platelets. There was a two red cells and one unit of
10 plasma, which were also culture positive.

11 Just to mention, symptoms and signs, to
12 illustrate how nonspecific this is. About two-thirds
13 presents with chills and fever, which overlap with other
14 reactions. Also nausea, vomiting, pain and dyspnea. Blood
15 pressure, which is often considered in the context of
16 septic transfusion reactions, decreased in some and
17 increased in some. But for the vast majority it remains
18 unchanged over those culture-positive reactions.

19 When we look at septic transfusion reaction
20 specifically, overwhelmingly due to platelets, over half
21 were either severe or life-threatening, with respect to
22 outcomes. Given that I'm presenting on a culture system,
23 it's worth looking at the variables which impact risk of
24 bacterial contamination, and why there is so much

1 variability in reported incidents, when we look at all of
2 the data.

3 So everyone is aware, primary culture, we have
4 collection, we have sampling at some predefined period
5 after sampling, we have bottle incubation, and then
6 ultimately release to the blood center. So, just with
7 respect to collection, we wanted to know what mitigation
8 measures have been in place from disinfection, how long has
9 that been in place, diversion pouches, are we collecting
10 via whole blood or single donor technologies?

11 With respect to sampling, looking at the timing
12 relative to collection, what volume, what bottle types,
13 whether it's aerobic, and/or anaerobic, and then whether
14 sampling is undertaken before or after splitting?

15 Then with respect to bottle incubation, how long
16 prior to release, and that's somewhat variable depending on
17 the different approaches out there. And then, importantly,
18 it's subject to the bacterial kinetics themselves, which in
19 platelets is going to impact the risk. There's various
20 kind of growth forms. One possibility is that you actually
21 have auto-sterilization, very low inoculum, and essentially
22 nothing happens over time. Second possibility is that
23 bacteria persists at very low concentrations throughout the
24 platelet shelf life and again, have no real measurable
25 effects.

1 Where primary culture comes in is where we go
2 from, as shown in the previous talk, we go from a lag phase
3 to ultimately a log phase of growth, and then that timing
4 relative to collection will impact the ability to detect
5 bacteria in that timeframe. What's concerning is really
6 with slow growth of certain bacteria, where they escape
7 capture with that 24-to-36-hour sampling.

8 There's also other factors. There was nice study
9 which came out last year by Anne Eder and others, just
10 looking at the collections technology, where over a five-
11 year period they compared Amicus collections to Trima
12 collections, kind of a standard approach, 8 mls sample from
13 apheresis platelets, inoculated into an aerobic culture
14 bottle at least 24 hours after collection and then held for
15 5 days.

16 When they looked at the number of confirmed
17 positive reactions, there was a significant increase in
18 culture positivity with the Amicus collections as compared
19 to the Trima collections -- about twofold higher odds of
20 positivity. When they looked at overall rates of septic
21 transfusion reactions, again, it came to about 1 in 100,000
22 apheresis platelets and was overwhelmingly associated with
23 the Amicus procedures. As a caveat, I believe that a lot
24 of this has been addressed subsequent to this paper.

1 Another nice paper came out of Blood from Hong
2 and others, looking at active versus passive surveillance,
3 over a period from 2007 to 2013 -- so, again, kind of a
4 standard culture approach. The authors also looked at sets
5 of septic transfusion reaction criteria, including the then
6 recent AABB criteria. What they found was that with active
7 surveillance, there was about a 1 in 2,500 incidence of
8 bacterial contamination. None of those reactions were
9 reported by passive surveillance.

10 In contrast, if you look at the 284 reactions
11 reported by passive surveillance, none were associated with
12 contaminated platelets, and a high proportion met criteria
13 for septic transfusion reactions, so that the authors
14 commented on the lack of specificity of the criteria.
15 Collectively, the reason why everyone's here today, is that
16 really bacterial contamination of platelets remains a
17 leading cause of morbidity and mortality. There's clearly
18 a failure of passive surveillance to detect septic
19 transfusion reactions, a lack of specificity of associated
20 criteria, and actually, as an aside, that impacts the
21 national surveillance data, and collectively support an
22 additional measure to contend with residual risk of
23 bacterial contamination, either through testing or pathogen
24 reduction.

1 Just looking quickly, what are the alternative
2 strategies? Some of those have already been mentioned.
3 The first is pathogen inactivation or pathogen reduction,
4 just as a -- it's important for me to say that I'm a firm
5 proponent of pathogen inactivation. There's a variety of
6 approaches in use, including photochemical inactivation,
7 which has gained the most traction, but there are other
8 strategies. There is the FDA-licensed INTERCEPT system.
9 Mirasol has been CE marked in Europe.

10 The pros behind this approach, well, it really
11 offers a comprehensive approach to infectious risk.
12 There's broad activity across all classes of pathogen,
13 which is going to address both the major infections which
14 are currently being tested, as well as emerging agents.
15 Zika, I guess, is now being tested. As well as protozoa --
16 babesia remains an important risk to the blood supply. And
17 then there are secondary benefits, such as mitigation of
18 transfusion-associated graft-versus-host disease, and
19 importantly, has shown comparable safety and efficacy to
20 untreated platelets.

21 The downside, acknowledging that cost is a
22 sensitive issue and shouldn't really detract from the
23 scientific merits of an approach, but cost is high. It's
24 about 20 to 30 percent gain on current cost of platelets.
25 There's also lower platelet recovery and survival and,

1 importantly, there's a lack of a licensed red cell or whole
2 blood technology, so many of the collateral benefits, such
3 as transfusion-associated graft-versus-host disease, may be
4 overstated in the absence of a comprehensive strategy.

5 Second is really point of release testing. We
6 have the platelet PGD test, which detects conserved
7 antigens and gram positive and gram negative bacteria. We
8 have the BacTx assay, which, again, detects bacterial
9 peptidoglycan.

10 The pros -- undertaken near time of issue, so
11 within 24 hours of transfusion. The PGD test is able to
12 extend shelf life to seven days, which is really favorable
13 to transfusion inventories; it reduces risk of platelet
14 outdating.

15 The cons, at least for myself, is perhaps flawed
16 perception. When I think of point of release, I think of
17 rapid testing, so there's an expectation of low technical
18 complexity and ease of use, and I'm not sure that is
19 completely true. It's logistically challenging. The
20 turnaround time is a minimum of 30 to 60 minutes for a
21 negative result.

22 Then when we talk about less expensive, it's
23 certainly less expensive than pathogen reduction, but it's
24 not cheap. Estimates of \$25 to \$35 for the purchase of the
25 test kits and the technologist's time to run the tests, and

1 then, testing needs to be undertaken less than 24 hours of
2 release, so in some way needs to be able to predict one's
3 transfusion needs over the 24 hours. Alternatively, one
4 needs to repeat testing if not transfused within that
5 timeframe.

6 I'm presenting -- it's kind of a long-winded
7 approach -- an alternative approach, which was really
8 spearheaded by Paul Ness at Hopkins, which is phased
9 implementation of secondary bacterial culture. This was
10 really spurred by a mini-epidemic, I'll show you the data
11 in a subsequent slide, of culture-positive transfusion
12 reactions in 2016. It prompted us to evaluate existing
13 mitigation strategies. The presumptive root cause of
14 residual risk is the initial inoculum was potentially too
15 small for detection, or alternatively, it was a slow-
16 growing bacterium, leading to false negative test results.

17 What was proposed was implementation of a
18 secondary bacterial culture system at the transfusing
19 facilities at Hopkins at time of receipt, which is
20 approximately three days post-collection. The hospital
21 administration was very strongly supportive. There was
22 some debate as to who should perform the culture. Should
23 this be the blood bank, should this be micro? Ultimately,
24 we've actually adopted, in the blood bank.

1 Testing was initiated in October 2016, of all
2 platelets on the morning of day 3 post-collection.
3 Platelets are stored up to five days. It's a 5 ml sample,
4 and testing in the blood bank by a dedicated lab
5 technologist. It's in aerobic bottles, a single-bottle
6 system, and then held for three days incubation at 35
7 degrees, and then the micro lab performs confirmatory
8 testing for any positive. As I mentioned, this led to the
9 addition of one full-time equivalent, one technologist, at
10 the blood bank.

11 Just in terms of logistics, of initiating this,
12 we achieved almost 100 percent culture at six months, and
13 we've managed to sustain that. This has been published in
14 Transfusion, so, looking at the data from 6th of October
15 2016, to 30th of November 2017, for about 23,000 platelet
16 transfusions were cultured, we had 8 positives, which
17 represents an incidence of about 1 in 2,800.

18 Seven of those positives occurred within 24 hours
19 of secondary culture, so within 24 hours of receipt at
20 Hopkins. Five of the eight were designated as probable
21 true positive, and they were interdicted, so, they were not
22 transfused. Three cases we've labeled as indeterminates,
23 as in, they were transfused, albeit without adverse event.
24 But that culture positivity came up after they had been
25 released.

1 Unsurprising, coagulase negative staph accounted
2 about four of the eight positives. Importantly, there were
3 no false negatives. There were no septic transfusion
4 reactions reported during that observation period.
5 Contrast that with the preceding 13-month period -- we had
6 four possible and three definite septic transfusion
7 reactions, including one life-threatening event and one
8 fatality. Going back to revisiting that earlier study
9 which I mentioned, the majority of platelets at Hopkins
10 were collected on an Amicus system, that has, I believe,
11 has been addressed, which could have contributed to this
12 surge in cases.

13 Subsequent to that, just collecting the data from
14 the end of that study to the 30th of June, I just wanted to
15 update this, we had one case which I would label as a
16 possible case of laboratory contamination, or false
17 positive, in which, on repeat testing, it was negative.
18 The product was discarded. We also had, embedded within
19 that, there were two additional probable true positives and
20 an additional case which was transfused, so was not
21 interdicted, but it was transfused without adverse event.

22 Collectively, we've had 12 positives out of about
23 32,000 products transfused, so, an incidence of about 1 in
24 2,600. Seven probable true positives, so, 1 in 4,600.

1 Four indeterminates, and one case of laboratory
2 contamination.

3 The pros of this approach, secondary bacterial
4 culture, represents an additional safeguard against
5 contamination. It's complementary and it's overlapping
6 with primary culture. It optimizes detection, given the
7 interval growth. It's procedurally simpler than at least
8 point-of-release testing in the transfusion service
9 setting. So it could be implemented at both hospitals or
10 blood centers. Pertinent to Hopkins, it was able to be
11 implemented at a large institution where transfusion volume
12 is high, and is oftentimes sensitive, and we were able to
13 bypass many of the logistical challenges, so it was really
14 not disruptive to routine workflow.

15 It is comparable or lower cost than alternative
16 strategies. We estimated, at least on the initial study,
17 to be about \$16, just below \$17 per product, including the
18 supplies, equipment, and labor. So, the cost for those
19 initial positives was about \$77,000 per averted case. And
20 this could facilitate 7-day storage. Internally, there's
21 been a decision not to pursue that beyond 5 days.

22 The cons. It's a redundant system, it's going to
23 add cost and labor. We acknowledge that Hopkins is a
24 highly resourced tertiary academic institution. This is
25 debatable -- smaller institutions could adopt this.

1 There's obviously a risk of false positive results, as
2 we've seen, both from the culture system itself, as well as
3 introduction at time of sampling. That always places a
4 burden on the blood center and results in platelet wastage
5 and market withdrawals.

6 False negative results and missed septic
7 transfusion reactions has not really been shown to be an
8 issue, but that's -- two additional considerations
9 surrounding that are addition of an anaerobic culture
10 bottle and/or increasing the sample size. There's also
11 immediate transition to active inventory, there's no
12 quarantine period, as there is potential that a positive
13 unit still gets transfused, but one has to really titrate
14 the risk versus having a viable product for patients.

15 On the subject of aerobic versus anaerobic
16 bottles, is there benefit to adding an anaerobic bottle?
17 Most of the organisms reported in cases of bacterial
18 contamination, about three-quarters, are staph or strep
19 species. Interestingly enough, about 1 in 5 is due to gram
20 negative organisms. The addition of an anaerobic bottle
21 will capture strict anaerobes, but some anaerobes are also
22 air tolerant and will still grow, although not optimally,
23 in an aerobic bottle. Leon Su, who was then at Blood
24 Systems, actually did a study about 10 years ago, comparing
25 7-day and 5-day platelets, looking at true positive rates

1 with the two-bottle versus the one-bottle system, and
2 actually found that the rates of true positivity were
3 comparable with the two- and the one-bottle system.

4 There were three culture-positive cases, in which
5 only the anaerobic bottle was positive. While the rate of
6 true positivity were comparable, however, the rate of false
7 positivity and indeterminate results actually went up
8 significantly when using that two-bottle system. One in
9 1,700 versus 1 in 6,000.

10 In terms of sampling volume, data from the
11 primary culture, higher sampling volume will increase the
12 probability of capture, again, when that impacts the split
13 rate. A limitation behind our study is that 5 mls was
14 somewhat arbitrarily selected for this. There is data
15 collection underway to evaluate the impact of actually a 10
16 ml sampling volume. It's still too early to comment.
17 Anecdotally, in the first month which we started doing
18 this, we had three false positives, where really there was
19 no repeat positivity and nothing cultured. There hasn't
20 been any additional cases since then, but we'll report that
21 in some time.

22 In conclusion, bacterial contamination is a
23 leading cause of morbidity and mortality related to
24 transfusions. Still certainly underreporting.
25 Surveillance is really challenging, and underscoring a need

1 for a laboratory-based approach. There are available
2 strategies to guard against residual risk. I don't
3 actually think they're one-size-fits-all. I think there
4 are pros and cons to each and really needs to be tailored
5 to institutional needs.

6 Secondary culture has certainly proved to be
7 successful in our setting. It's been able to be integrated
8 into workflow. Favorable cost as compared to alternative
9 strategies and could pave the way for 7-day platelets,
10 although, again, that has not been the direction that we've
11 been going. With respect to future directions, I would
12 love to see at least a study looking at the addition of the
13 anaerobic bottle. We are looking at the sampling volume,
14 and we are refining quantification.

15 Just to acknowledge, I'd love to take credit for
16 all of this, but it's Paul Ness, and particularly Christi
17 Marshall, one of the supervisors in the blood bank, have
18 been instrumental in getting this off the ground.

19 Thank you very much.

20 DR. ALLEN: Thank you, Dr. Bloch. And thank you
21 especially for your comments about the academic hospital
22 ability to handle such a thing, versus the community
23 hospital, because that's obviously an important issue.

24 Any of the committee members have questions or
25 comments for Dr. Bloch?

1 Let me just ask quickly, obviously, if I were a
2 patient, I'd like to get the freshest platelets possible.
3 What does all of this have to do, in your experience, in
4 slowing down or delaying the transfusion of fresh
5 platelets?

6 DR. BLOCH: Very, very little. I could probably,
7 in one of those slides, I could probably look at the timing
8 relative to release, but very, very little. It's
9 essentially a seamless transition. It arrives, it gets
10 cultured, and those products go out.

11 DR. ALLEN: From a bacterial perspective, if we
12 could safely use 7-day platelets, that is helpful in terms
13 of inventory management.

14 DR. BLOCH: Yes.

15 DR. DE MARIA: Al DeMaria, Massachusetts
16 Department of Public Health. The \$16.38 estimate for the
17 cost, that included the dedicated technologist?

18 DR. BLOCH: Yes, it included labor.

19 DR. HARRELL: Lizzie Harrell, Duke University. In
20 your conclusion, I totally agree that I think you hit the
21 nail on the head, your sampling volume, and including the
22 anaerobic bottle, are two things that need to be considered
23 if you're trying to standardize this.

24 DR. KAUFMAN: Richard Kaufman, Brigham and Women's
25 Hospital. Can you just clarify, what proportion of the

1 contaminated units were interdicted, and have you
2 considered putting in a quarantine period?

3 DR. BLOCH: As I mentioned, it's titrating -- this
4 is already three days post-collection, so if one holds it
5 for a day, one's going to be left with a two-day shelf life
6 for those platelets. I think a quarantine, in an ideal
7 world, I think a quarantine period would be nice, but I
8 don't think it's viable. Your first question -- what
9 proportion? Seven out of 12.

10 DR. SCHREIBER: Marty Schreiber, OHSU. I looked
11 at your data, and in terms of this three-day incubation
12 period, done on day three means that there's one day
13 overlap where the platelets would already have expired,
14 but when I looked at your data I noticed that all the
15 growth had occurred within 48 hours. I didn't see any
16 growth occurring after 48 hours. Is that not correct?

17 DR. BLOCH: Yes, that's correct.

18 DR. BAKER: Judith Baker, Center for Inherited
19 Blood Disorders. Again, on the labor costs, were those
20 based on actual labor costs of Johns Hopkins staff?

21 DR. BLOCH: Yes.

22 DR. ALLEN: Dr. Jones?

23 DR. JONES: Thank you, Jefferson Jones, CDC.
24 Would you be able to talk a little bit about -- you
25 mentioned the last slide, about looking at the sampling

1 volume and anaerobic bottles? Specifically, is Johns
2 Hopkins looking, or your institution looking, at 7-day
3 platelets? And how that would affect volume and anaerobic?

4 DR. BLOCH: Sorry, to clarify, again, the 7-day,
5 internally we've made a decision not to pursue 7-day
6 platelets, so we don't want to extend beyond five days.
7 It's not to say that a different institution might want to
8 do that. For the sampling volume, we are actively looking
9 at 10 mls. We actually, we are sampling 10 mls at the
10 moment. We are also saving segments in response to our
11 other reviewer comments from the paper, to be able to
12 refine ascertainties of what these reactions mean. With
13 respect to the anaerobic bottle, we're not actively looking
14 at that. I would love to look at that.

15 DR. LEITMAN: Could I go back to Dr. Kaufman's
16 question and your response? Seven out of 12 interdicted,
17 but I'm looking at that slide now. One was a lab
18 contaminant and likely a false positive, so it's really 7
19 out of 11. The four indeterminate were because the units
20 were transfused and there was no sample available for re-
21 culture and the recipient did well, and had regular
22 cultures. So they are truly indeterminate.

23 DR. BLOCH: I'm being more conservative, but yes.
24 You could argue that --

1 DR. LEITMAN: Were those four all slow-growing --
2 do you remember the organisms and species?

3 DR. BLOCH: I probably have them. Two of those
4 are coag negative staph, they're transfused, so there's a
5 viridans strep -- there's two staphs and a micrococcus in
6 the first batch.

7 DR. LEITMAN: Thank you.

8 DR. ALVING: This is probably a much more general
9 question, but you're really locked into -- this is sort of
10 a two-step process. You're locked into the American Red
11 Cross and their procedures. I understand you get all of
12 your platelets from the ARC. So, they do their 24-hour and
13 then they hold and release 12 hours after that, so the
14 earliest you could get them is at 36 hours. Are you
15 actually talking to the American Red Cross or working in
16 any way -- I know this is a huge overall question -- but
17 would you change your process, or have you thought about
18 it, if they could change theirs? In other words, you're in
19 sort of lockstep. It's a two-phase.

20 DR. BLOCH: In actual fact, the blood center could
21 adopt the strategy and actually bypass the hospital. They
22 could do the additional culture themselves. But sorry, I
23 may not understand your question, but --

24 DR. ALVING: Everything you get from the American
25 Red Cross is by protocol where they have done 24 hours; at

1 24 hours they do their culture, and they release 12 hours
2 later, after quarantine, right? Have you talked to them,
3 or are you looking at if, for example, if the American Red
4 Cross held it for 24 to 36 hours, did that culture, then
5 released 12 hours later, would that obviate the need for
6 you to do the secondary cultures? But that's just an
7 overall question for the committee.

8 DR. BLOCH: Those primary cultures, for the most
9 part, have been negative. So we're inoculates and they're
10 positive when we receive them, but by virtue of just
11 perhaps there's been too low inoculum on that initial
12 sampling, I think that's the advantage I think of this
13 system.

14 DR. ALVING: If they did their culture at 36 hours
15 it might obviate the need --

16 DR. BLOCH: Absolutely. I think that the blood
17 center could take over this approach themselves, and cut
18 the small hospital or the large academic center out of the
19 equation.

20 DR. ALLEN: I certainly think those are issues
21 that we need to explore this afternoon during our more
22 general discussion.

23 I'm sorry, we're not going to take speakers from
24 the floor at this point. There will be an open public
25 discussion session. I would appreciate anybody bringing

1 forth issues at that time. Do you need to register to do
2 that? Okay, they should register. If you could register
3 out with the FDA representative at the desk outside, that
4 would be very helpful. Thank you.

5 We will move on with our next speaker, Dr.
6 Stephen Field, from the Irish Blood Service, discussing
7 primary culture and secondary culture on day 4 testing
8 strategy with dating to day 7.

9 **Agenda Item: Primary Culture, and Secondary**
10 **Culture on Day 4 Testing strategy, with Dating to Day 7**

11 DR. FIELD: Thank you very much for inviting me
12 once again to address this committee.

13 I have no conflicts of interest.

14 I'm going to give a very similar presentation to
15 the one I gave in November last year, but I'm going to also
16 move quite quickly through the first few slides, which
17 really just introduce the Irish Blood Transfusion Service.

18 The Irish Blood Transfusion Service is a
19 statutory body established by statutory instrument in 1995,
20 responsible for the national blood supply of Ireland, and
21 that does not include Northern Ireland, which is still part
22 of the United Kingdom. Regulated by the HPRA, under the
23 European directive in transfusion, reports directly to the
24 government department of health.

1 Ireland has a population of just under 5 million,
2 it's been increasing since 2011, and there we have all the
3 major demographic data. The three major cities, which
4 really have university hospitals, Dublin has a number of
5 university hospitals, Galway, Limerick and Cork in the
6 south.

7 Just to give you a flavor of the number of
8 collections, they have come down over recent years, as the
9 trend internationally, and just the whole donation
10 statistics, between 2015 and 2016. I'm not going to dwell
11 too long on this slide. And the donors per thousand of
12 population. So, it varies in different parts of the
13 country.

14 Platelet production in 2016 -- we collect
15 platelets by two different methods. The great majority by
16 apheresis, and there was a rule to make apheresis platelets
17 which uses the Trima technology 80 percent of our load.
18 Mainly it is a variant CJD measure where we didn't want to
19 expose too many donors to the patient population. This is
20 changing, and we are reviewing our variant CJD strategy, as
21 well.

22 The MRTC is a center in Cork, and the NBC is the
23 center in Dublin.

24 The success with the apheresis triples, doubles,
25 and singles, the great majority being doubles. And the

1 platelets issued are 22,666 every year, and you can see the
2 great majority go to Dublin.

3 Our components laboratory responsible for
4 processing, labeling, and sampling of platelets for
5 bacterial screening and then the issue. And that's the
6 pictures of the laboratory, we won't dwell too long on that
7 in the interest of time. You might have noticed, we use
8 the buffy coat method, which is not the method in common
9 use in the United States, for our pooled platelets.

10 Just the timings, for 5-day platelets, donation
11 collected on day nought, pools are produced on day 1, and
12 the apheresis sampled on day 1, mostly after 24 hours but
13 we do concede that some of them, because of the timing,
14 could be collected between 12 and 24 hours. And that is
15 something we're looking at, which we're just a little
16 unsure, we might not be missing a few, because of the
17 organisms which may be present, may be in the lag phase.

18 Pooled platelets are sampled on day 2, so that's
19 36 to 48 hours, incubated until midnight on day 5, for a
20 period of the shelf life. That's for the 5-day. Pre-
21 culture preparations, donations are stored overnight at 22.
22 Apheresis, each split/dose is recombine prior to sampling,
23 and we do one sample per donation, whether it be a single,
24 double, or triple. Pools are sampled the day after the
25 pool is made.

1 It's a 16 ml sample volume, 8 mls into each of
2 the aerobic/anaerobic bottles, representing approximately 3
3 percent of a double dose apheresis donation, approximately
4 484 ml or 5 percent of a pool platelet volume of
5 approximately 293 ml.

6 The moment we have no hold period here after
7 testing loading the bottles, but just remember, we're a
8 blood transfusion service. We're not providing directly to
9 patients. We provide the product to hospital and there is
10 a certain lag time between our issue and the time it gets
11 put into a hospital inventory and issued. We are reviewing
12 that. We are considering a six-hour lag time before we
13 issue.

14 Just to give you an idea of the process, I'm not
15 going to dwell too long on the picture.

16 Supplementary sampling to enable us to extend the
17 date, expiry date, to 7-day platelets. For apheresis, the
18 second sample is taken on day 4, pools on day 4 as well,
19 incubated for shelf life of the product, and 1 percent of
20 all tested expiry as well. So we also got data on expired
21 units after 7 days.

22 The products are sampled, apheresis platelets.
23 Now at this time, we do not obviously recombine the product
24 for the apheresis. Each unit is tested and pools of

1 course, each pool will be tested. Again, it's a sample
2 volume, 6 mls, 8 mls, into each of the two bottles.

3 Processing of bacterial -- if there's a positive
4 gram-stained subculture bottle, organism identification,
5 conducted at a co-located hospital, we're right on the
6 campus of St. James Hospital in Dublin, and we collaborate
7 with their laboratories for any of this testing. We have a
8 recall of implicated units and quarantine of associate
9 products, hospital blood bank contacted, physician
10 contacted, for product infused, and this is a 24-hour
11 service.

12 Recipient samples advised, follow-up recorded,
13 onward reporting if necessary. Testing 10 ml of
14 platelet/red cells if available after a positive is
15 incubated for a further 7 days. We resample the second, 1
16 ml after 7 days if initial culture negative. So we do a
17 full follow-up of any suspected septic transfusion
18 reaction.

19 A confirmed positive flag on the BacT organism
20 isolated from BacT is also cultured from platelet
21 associated product or recipient, and unconfirmed positive,
22 is positive on the flag on the BacT organism assay but
23 other components are not available for further testing or
24 negative control cultures are obtained on testing of
25 platelets and other components.

1 False positive is positive signal from bottle but
2 subculture bottle and associated product is negative. Much
3 the same as you have heard in earlier talks.

4 Septic transfusion reaction is suspected if
5 transfusion -- if temperature is greater than 1.5 from the
6 baseline, hypotension, rigors. They are immediately
7 advised, blood cultures and specialist infection advice and
8 antibiotic therapy. There's donor review and follow-up.
9 We notify to the National Hemovigilance Office, which is an
10 agency of the regulator.

11 Okay, so what we have done over the years, I've
12 updated this from the last presentation to include last
13 year's data. So we have for apheresis the day 1, with just
14 over 11,600 donations, unconfirmed 47 positives,
15 unconfirmed rate 0.041 percent. We have confirmed 33,
16 which is a confirmed positive rate of 0.028.

17 The day 4 for the extension is 55,700, 15
18 unconfirmed positives, 5 confirmed positives. So we have a
19 contamination rate of 1 in 11,140. That's overall.

20 Just doing 5-day expired platelets, I just have
21 6,600, 14 unconfirmed positives, 0.211 percent, 2 confirmed
22 positives which is 0.030, and the 7-day expired, a similar
23 picture as you can see there, but no confirmed positives.
24 So just based on our 2016 data, estimated quantity of

1 donations retested at day 4, based on the 2016 rate of 48
2 percent retested.

3 A similar table for the pooled platelets. I
4 appreciate you don't use pooled platelets here. You use
5 the other methodology. But nevertheless. So 72,443 day 2,
6 41 unconfirmed, of which 61 confirmed. So that gives a
7 confirmed rate of 0.084. Day 4, 18,700-odd, 9 unconfirmed,
8 8 confirmed. So a rate of 1 in 2,341. Again, expired data
9 is there. Very little in the way of confirmations, and I
10 will go through some of the organisms we have picked up
11 over the years.

12 No septic reactions reported for 72,443 whole
13 blood derived platelet collections.

14 Since 2005, up to 2017, that is the array of
15 organisms that we have picked up. Propionibacterium is the
16 great majority of them, slow-growing bacteria. You see
17 there are some nasty ones there, too. Gram positive
18 pathogenic species of staph aureus and all those other
19 staphs and streps and some listeria. Some gram negative
20 pathogens as well, and some anaerobes.

21 Okay, this is sort of confirmed positive table
22 over the years and just shows that only two of those over
23 the years were cultured. In 2005, there were three splits
24 positive on apheresis platelet proteus mirabilis which is a
25 nasty gram negative, and I'll talk a little bit later about

1 that, and then there was a strep dysgalactiae in 2014. In
2 most cases, the contamination, contaminated platelets were
3 not infused.

4 Okay, time to detection. This just gives the
5 time to detection on the y-axis and the organisms on the x.
6 Just to say that the black one -- this one, yes -- is the
7 proteus, and that did take quite a while to grow. Which
8 might well be an indication it might have been sampled too
9 early. But generally, that's the other one that was
10 infused.

11 Organisms not detected on initial screen. Lots
12 of coag negative staphs, so these ones would be picked up
13 on day 4. A couple of staph aureuses, but none of them
14 were infused. You can see the other organisms there.

15 Confirmed day 4 cultures, and those are the
16 organisms.

17 The culture results by apheresis splits, isolate
18 from initial culture, listeria on a single split, staph
19 aureus a single dose, and proteus three out of three.
20 Isolates from day 4, and those again, the organisms, and
21 most cases where it's contaminated contaminate both splits
22 with the exception of the s. capitis at the bottom there.

23 Here's a couple of the case histories. I'll just
24 keep an eye on time. We're okay. We currently do our
25 pools now into platelet additive solution, and this was one

1 in 2014 where red cells also grew the organism. It was not
2 issued and discarded. There's nil of note from the donor
3 questionnaire and the donation of regular donor, and
4 nothing came out of the medical reviews of the donor.
5 Twelve previous donations, satisfactory arm cultures have
6 been done on that particular individual.

7 Recipient was in his 30s. There was a new
8 diagnosis of AML subsequent, received units. So the
9 recipient had AML, received the units in October 2014
10 whilst on that particular antibiotic combination. The team
11 contacted by us and advised microbiology opinion due to the
12 discovery of this organism in the cultures. More than five
13 sets of blood cultures between the 2nd and the 20th, none
14 were positive. Obviously in this instance, the antibiotic
15 cover given to the patient was adequate to deal with it.

16 The other one, which was in 2005, was a triple
17 dose tested and issued and infused on the 31st of August,
18 one bottle only incubated, prior to the introduction of the
19 two bottle testing strategy later in that year. As I said
20 earlier, the three splits were positive. Classical
21 swarming on the plates of proteus. The donor had given 156
22 donations, normal white cell count and blood pressure day
23 of donation. No follow-up information available.

1 The recipient was a cardiac artery bypass graft,
2 tolerated the infusion well, no apparent septic reactions,
3 but notes are minimal. So that I think was a lucky escape.

4 I think time is moving on, so I'm going to skip
5 through this and just say there's a donation in 2015,
6 donation in October, initial test 10th of October, 12th of
7 October, prior to issue, cloudiness, unusual orange
8 discoloration was observed, pack sent back to us for
9 investigation, retested, positive flag after 3.84 hours,
10 gram positive cocci. This is to say that vigilance at the
11 point of issue is also a very important thing.

12 Just another donor history, again, a chap who had
13 staph aureus, regular platelet donor, systematically well,
14 but on examination he had beard rash and folliculitis, said
15 to be exacerbated by shaving, over the last 12 months.
16 Nasal swab showed Staphylococcus lugdunensis, which is very
17 similar. Chin and venipuncture sites swabs, nil of note,
18 although staph aureus preplus on reference laboratory
19 report, referred to GP, and donor deferred pending
20 resolution of symptoms and condition of skin.

21 So those are the sort of donor things we would
22 have to deal with.

23 I think in the interest of time, I am going to
24 just move on. This case of E. coli after five hours, both
25 bottles, pooled platelet E. coli isolated, confirmed on

1 retest, sensitive to those antibiotics. Red cells all in
2 stock, recultured, no growth, no donor, particular donor,
3 was identified as the cause, because they were pooled so we
4 couldn't isolate which one of the donors was implicated.
5 Nil of note from the questionnaire, but all four donors
6 were contacted. Apart from a mild sore throat in one
7 donor, nil of note.

8 So the kind of things we would ask, about chills,
9 fever, chills, flulike illnesses, headache, skin rash,
10 infection, acne, venipuncture site assessment. Any dental
11 manipulation, any urinary tract infection, contacts,
12 travel, pets, animals, occupation, or any recent
13 antibiotics?

14 Okay, remaining significant pathogens. S.
15 pneumoniae, no apparent clinical symptoms. Klebsiella not
16 identified from pool. Listeria, other staph aureus, no
17 apparent signs or symptoms in donor, and same with S.
18 dysgalactiae.

19 Propionibacterium acnes is something we commonly
20 see. Again, here's a case history, a 7-day pooled platelet
21 and red cells times four donations in 2013. Platelet pool
22 infused. Anaerobic bottles flagged after 3.8 days. Again,
23 the donor questionnaire, shoulder pain 10 days ago,
24 attended doctor, history of RTA. Recipient uneventful
25 transfusion, attendee in the hematology day ward, normal

1 vital signs at one hour after, patient contacted at home,
2 well, no issues. And again, one of the red cells recipient
3 CLL, already on broad spectrum antimicrobials for
4 pneumonia, nil of note. Three other red cells recovered in
5 house, one of the three cultures positive for P. acnes. So
6 there we are.

7 Lots of Propionibacterium infused over the times.
8 False positives, false positive alerts between those years,
9 and you can see the graph has gone down. We have improved
10 the false positive rate using designated incubators for
11 each day of the week, avoid loading the incubator three
12 days thereafter, try to load in batches, allocate drawers
13 for later loading of bottles, and minimize interruption of
14 monitoring. We find if you open and shut the doors of
15 these things, it does trigger false positives.

16 So that's the summary, 77,443 pooled platelets
17 screened between 2005 and 2017, and 116,045 apheresis
18 platelets screened in those same years, and detection rate
19 based on day 4 culture 1 in 2,341 as opposed to detection
20 rate on day 4 of 55,702, 1 in 11,140. Majority of
21 significant pathogens isolated and detected in pooled
22 platelets, even though sampled one day later, than
23 apheresis platelets. All but one proteus of the
24 contaminated product infused, detected in pools. As the
25 rest of it is self-explanatory.

1 Value in a two-day strategy. Majority of
2 significant pathogens are picked up on the initial test.
3 However, there's a value in the second day 4 test,
4 facilitates extension of shelf life to seven days with
5 extra margin of safety. Opportunity for organisms to grow,
6 interdiction of staph aureus contaminated platelets and two
7 other staph aureus contaminated products.

8 Pathogens with potentially adverse outcomes were
9 detected and not infused, staph infantarius. Even if a
10 platelet already issued, opportunity to recall red cells if
11 there is a positive flag.

12 Day 4 also monitors the sensitivity of the
13 initial test. However, IBTS will consider a 7-day
14 strategy, and we have. One test 7-day strategy. Evidence
15 suggests it's also safe and time and cost-saving
16 advantages.

17 And just to acknowledge Dr. Willie Murphy, my
18 predecessor at IBTS, Dr. Niamh O'Flaherty, who is the
19 consultant microbiology, and Michael Maher and Danny
20 Curran, the senior medical scientists. Thank you very
21 much.

22 DR. ALLEN: Thank you, Dr. Field. It's always
23 useful to get the experience from around the world
24 different types of protocols and activities. So thank you
25 very much for sharing with us.

1 Any of the committee members have questions or
2 comments for Dr. Field?

3 DR. LEWIS: Roger Lewis, from the department of
4 emergency medicine, Harbor-UCLA. Can we look at your table
5 1, please?

6 So, I have a question about the overlap and the
7 units that occur in different rows. So should I assume
8 that all of the units that are tested, for example, day 5,
9 day 7, were from the same group and then obviously anything
10 that was positive or transfused was included?

11 DR. FIELD: Once we have got to the day 4,
12 anything which is transfused or --

13 DR. LEWIS: There's nothing left over to test. I
14 think this is a very naïve question, but what I'm trying to
15 understand -- sort of like a survival study where you have
16 a smaller and smaller population of units that are
17 available at later times. So there's no way to know, for
18 example, if a unit that was positive on day 7 would have
19 been positive earlier or we know that it was negative.
20 Which, we know that it was negative?

21 DR. FIELD: We know that it was negative. If we
22 still had the unit at day 7 in the blood service, we would
23 have done 7-day expired -- that's at the bottom of the
24 table -- we have recultured some of those, that number,
25 2,285, over the years, and they have been, well, nine

1 unconfirmed positive and no confirmed positives. So that
2 confirms the previous result.

3 But obviously that's only a subset of the total
4 number that we originally cultured. The rest having been
5 issued out to hospitals. One thing I would say is that
6 once they're issued out of the IBTS, we do not get them
7 back to extend the life to day 7. Once they are issued, in
8 the first 5 days, it's a 5-day life; we only culture and
9 extend those that are still in the IBTS inventory to
10 further send out later.

11 DR. LEWIS: The thing that strikes me about this
12 is the amazing consistency of the confirmed positive rate
13 given the sparseness of the data over every time period.

14 DR. ALLEN: Interesting question. Other questions
15 or comments for Dr. Field? Thank you very much.

16 DR. FIELD: Thank you very much.

17 DR. ALLEN: We will now have a 10-minute break. I
18 would like to have everyone back here and ready to go at 8
19 minutes after 10, please. Thank you all. Panel members,
20 please do not discuss the meeting topic during the break
21 amongst yourselves or with any member of the audience. We
22 will see you back here in a few minutes.

23 (Break.)

24 **Agenda Item: Minimal Proportional Sampling Volume**
25 **Testing Strategy, with Dating to Day 5**

1 DR. ALLEN: We are back in session. Our next
2 speaker will be Dr. Ralph Vassallo from Blood Systems
3 Incorporated, chief medical officer.

4 DR. VASSALLO: Very good, thank you. I appreciate
5 the invitation today to reprise our discussion of minimal
6 proportional sample volume. I am Ralph Vassallo. I'm
7 chief medical and scientific officer for Blood Systems for
8 the last three and a half years, and before that, 13 years
9 as a division chief medical officer in Red Cross. You'll
10 also probably remember me as the only male speaker today
11 who does not have a mellifluous commonwealth accent. At
12 least I'll have that.

13 At this point, I would like to thank several
14 people. Firstly, Hany Kamel, who is in the audience, Dr.
15 Marge Bravo, who crunches all the data for us, and Dr.
16 Peter Tomasulo, who is not here, who started this process
17 at BSI.

18 My disclosures are on file.

19 I think it's instructive to start with a
20 discussion of what is the problem that we're trying to fix,
21 because you can get sidetracked to think what we would like
22 to do is to eliminate all bacterial contamination. What we
23 really want to do is eliminate septic transfusion
24 reactions.

1 So the data from Case Medical Center in Cleveland
2 suggests that about 1 in 10,000 transfusions result in a
3 septic transfusion reaction, but we know that only 30
4 percent of infected products that make their way into
5 patients actually manifest as a septic transfusion
6 reaction. Unfortunately, 1 in 8 patients will die when
7 they get an infected product that results in sepsis. So
8 that's what we are trying to fix.

9 But when you look at NBCUS data that show that
10 about 2 million platelet doses were transfused in 2015, and
11 know that in most of the collectors, we have seen a pretty
12 flat curve in terms of platelet utilization. In fact, it's
13 perhaps even a little on the way up over the last year.

14 We can assume that 1 in 10,000 septic transfusion
15 reactions, we are dealing with 200 people who have an
16 adverse event and approximately 25 of them will die from
17 this. So it's something we need to fix.

18 The question is NBCUS data also show over time
19 that the cushion between what we collect and what we
20 transfuse is getting smaller over time, because hospitals
21 and payers aren't able to fund that healthy cushion. So
22 any small perturbation in availability can result in
23 patients not getting transfusions, say even a 1 percent
24 decline in availability, has a much greater impact when the
25 cushion is small, and if only 1 in 100 patients who doesn't

1 get a platelet on time actually needed it, we're still
2 hurting 200 patients. So the cure can't be worse than the
3 disease here. So there's platelet safety, but there's also
4 platelet availability and they're inextricably tied.

5 So let me talk about our algorithm for minimal
6 proportional sample volume. For many years we had an 8 to
7 10 ml sample that was inoculated in a single BacT aerobic
8 bottle on day 1 at 24 hours-plus. The new algorithm was
9 between 10 and 28 mls, depending upon the volume of mother
10 bag, was not tied to singles, doubles, and triples; it was
11 tied to volume.

12 But you will see here that only about 1 percent
13 of the units that we collect have such a low volume that
14 they end up with one bottle. So we generally have two or
15 three aerobic bottles that are inoculated at 24 hours plus,
16 and you'll see what that did is it increased the number of
17 bottles we use 2.4-fold.

18 What we do when we have a BacT alarm is to send
19 both the bottle and available products, recall them from
20 the hospitals, the ones still on our shelves, but we do
21 this unlike when I was at the Red Cross, the alarm would
22 ring, around 16.5 hours on average, and you would reach
23 onto the shelf, and within an hour or so, you would
24 inoculate. What you see here is that all of our centers
25 that use eperges(?), that we have these data that we are

1 going to show, are sending to a central place in Phoenix.
2 So it takes time to get units from the hospitals, to the
3 regional center, and then you see, say, a center like Fargo
4 then has to fly it down to Phoenix for cultures. So I
5 think that gives us a robust ability to pick up some of
6 these bugs in the product itself so that we don't have some
7 what are called discordant negatives.

8 We also culture 20 mls, not 10 mls, as many other
9 folks do.

10 So what we do is from the bottle itself we take
11 10 mls, we concentrate that down in plate, aerobic and
12 anaerobic media, we do the same for the platelet product,
13 each one of the splits that we have, but also take another
14 10 mls and put that in a single aerobic Bactec bottle. So
15 we are culturing both aerobically and anaerobically in 20
16 mls of the platelet unit.

17 Our definitions you see here. A true positive is
18 when you have the same organism from both the bottle and
19 the unit or the bottle and if it's been transfused, patient
20 blood. False positives are when the BacT alarm rings but
21 there is nothing in the BacT bottle. So-called machine
22 false positives.

23 Third option is that you have organisms in the
24 bottle, but there's no growth in every one of the units, or
25 all available, and this of course could be that this is

1 what are classically called contamination false positives,
2 someone touches the septum and you contaminate the bottle
3 without a contaminated product.

4 The second option there is that within the BacT
5 bottle, you pulled the only infectious organism from the
6 product. Very unlikely, but possible. Thirdly, you could
7 pull a bacterium from the product, grows in the bottle, but
8 the unit self-sterilizes, as Dr. Bloch has shown, and the
9 last and the one we're really concerned about, is if indeed
10 you have a product where the bottle is positive because
11 there were bugs in the unit, but you sampled too early, you
12 don't sample enough, and you miss a true positive that over
13 five or seven days will then leave lag phase, enter log
14 phase, and be potentially harmful.

15 Lastly is our indeterminates, when we have a
16 positive bottle culture but you can't get all the units and
17 you can't culture all of the patients. You can't culture
18 patient into negativity, but you can culture a patient into
19 a true positive, when his or her blood contains the
20 organism that's in the bottle.

21 So let me get to the data. You have seen some of
22 these data before. These were published in a paper
23 authored, first author, was Hany Kamel, and we described
24 two periods, periods A and B, the first period being 8 to
25 10 ml samples. It was a 45-month period. This is one BacT

1 aerobic bottle, inoculated regardless of the volume of the
2 product, and you see some of the numbers here in terms of
3 the results from the BacT, the initial positives and their
4 resolution, and expressed as a rate per million.

5 We also gave 31 months of data in period B, and
6 today we are able to extend our minimal proportional sample
7 volume data by another 20 months, and for convenience,
8 we'll lump together periods B and C so that you see here
9 our true positive rate more than doubled. So going --
10 these are all Trima platelets. So going from 8 ml samples
11 on day 1 to 10 to 20 ml samples, we have more than doubled
12 the true positives.

13 Unfortunately with more bottles and more bottles
14 requiring the drawer to be opened and closed more often, we
15 also see a lot more false positives. They have increased
16 3.5-fold, and this is using aerobic bottles. Were one to
17 use anaerobic bottles, you could assume it's the false
18 positives, as Dr. Bloch showed as well, were going to rise
19 even more dramatically because of the use of anaerobic
20 bottles.

21 What was discussed at the last meeting is our
22 discordant negatives. This rate has fallen by about 45
23 percent over time, and it was purported that indeed what we
24 have done is because all of these units are essentially
25 interdicted once the BacT alarm rings, all of the

1 platelets, by the time you culture them, are outdated. So
2 you essentially don't give these platelets to patients.

3 The discussion centered around removing our
4 discordant negatives, some of which are true positives but
5 are not being sampled appropriately, the product itself,
6 into our true positives, and there hasn't been a change.
7 Well, in fact, I'll present three pieces of evidence that
8 suggests that's not true at all.

9 The first being that our sampling protocol is
10 more robust than many centers currently use. It's delayed
11 at least 24 hours, and double volume, both aerobic and
12 anaerobic, not aerobic alone. Secondly, this piece of
13 evidence is you go from -- as you look at our periods B and
14 C, as we have gone over time with the minimal proportional
15 sample volume, our false positive rate has fallen by about
16 35 percent. That's because we are getting better at not
17 opening and closing drawers. We are getting better at the
18 entire process.

19 Interestingly, the discordant negatives have
20 fallen by exactly the same approximately 35 percent rate,
21 suggesting that much of this improvement, if you will, in
22 discordant negatives is our improvement in general
23 management of our BacT; if we were to use anaerobic bottles
24 I'm sure this would spike again, but as Dr. Field presented
25 last time, the Irish had a very robust program and actually

1 Dr. McDonald also showed that when you first start a
2 program of enhanced primary culture, your false positive
3 rate rises dramatically, and then you get much better at it
4 and you're able to bring those down.

5 I'll present a third piece of evidence, but after
6 this. I'd like to draw your attention to these numbers
7 here that show what the residual rate of septic transfusion
8 reactions are, the numbers above the line or above this
9 line here, are all per collection, but of course, we have
10 about a 1.8 split rate. So when you put that into units,
11 this was one collection, but it was two products that
12 resulted in septic transfusion reactions, our rate of
13 septic transfusion reactions was about 1 in 170,000. It's
14 interesting that you contrast that with the American Red
15 Cross data, suggesting that Amicus has a 3.8-fold risk of a
16 septic transfusion reaction as Trima. That's the Eder
17 paper here. Their rate in passive reporting is 1 in
18 100,000.

19 It's been stated very, very clearly that you
20 can't exactly compare things. They are different donor
21 bases, different staff, different arm preps, different
22 instruments that you're using, different definitions of
23 septic transfusion reactions, the whole BacT process can be
24 slightly different, but it is interesting that indeed this

1 follows what one would expect if indeed Trima has a lower
2 rate of septic transfusion reaction than Amicus.

3 We then, in period B and C combined, have still
4 only a numerator of one septic transfusion reaction. So
5 from the last time we reported, it was 3.5 per million.
6 We're now down with an increase in the denominator to 2 per
7 million. We're at 492 -- 1 per 492,000. This is what you
8 see in the data from Europe and, again, people would say
9 these data cannot be compared. You're absolutely right.
10 Sometimes you are comparing active and passive. We know
11 passive is 10 times lower rate of recognition.

12 But these data are data, nonetheless. While
13 they're not able to show small differences between them,
14 they are able to show that there is a relatively low septic
15 transfusion reaction rate when you use Trima with a 3.8
16 percent day 1 enhanced sampling mechanism.

17 We'll show the bacteriology here. I don't know
18 how many people have 20/10 vision, but take my word for it
19 that these are the gram negatives. These are the gram
20 positive isolates. What we are going is showing periods A
21 and combined B and C in the true positive and discordant
22 negatives. So what we have done in red are to highlight
23 organisms that are either enteric gram negatives or oral
24 and invasive streptococci. Do you want to count staph
25 aureus? That is both on the skin and also an invasive

1 pathogen. We chose to lump it with the skin and
2 environmental contaminants. You can cut it either way.

3 But I'll draw your attention here, and that is
4 that when we went from 8 to 10 mls, our true positive rate
5 was -- true positives about 41 percent of our true
6 positives were these invasive and alimentary organisms,
7 nonskin, nonenvironmental contaminants. When we then
8 increased our primary culture volume to 10 to 28 mls based
9 upon the volume of the product, it increased to about 76
10 percent.

11 Even more telling are the actual numbers. Period
12 A had about 69 percent of the collections that were evident
13 in periods B and C. So when you adjust the seven nonskin
14 nonenvironmental contaminants up for the volume of
15 collections, that turns into 10 true positive alimentary
16 invasive pathogens in period A and 4 discordant negatives.
17 So indeed, if every single one of those were now just moved
18 from discordant negatives into true positives, we would
19 expect 14. Instead we found 41 organisms in a comparable
20 denominator of collections.

21 So this clearly shows we are much, much better
22 with 3.8 percent day 1 collection, day 1 -- sorry --
23 inoculation volume; we're much better at picking up these
24 bad actors that are presumable bacteremic organisms.

1 How about if we look at the ones that are
2 environmental or skin pathogens? So true positive
3 pathogens 15, 13 in periods in B and C. We have maintained
4 those evenly. But what we have also done is decreased
5 discordant negatives by 50 percent, and I believe that's
6 where we're showing that we are getting better at not
7 contaminating the septum, just as we are getting better at
8 our false positives with much more activity.

9 Our Trima folks have also produced a 30-ml
10 sampler for us. We are not entering the bottles multiple
11 times with different instruments. So hopefully as I've
12 stated we are decreasing the number of discordant
13 contamination false positives as opposed to discordant,
14 gosh, you may have missed something in the product, because
15 you sampled it too soon. You didn't sample enough, and
16 that product is still hot.

17 We'll move on, we did a second surveillance
18 study, and again, this was the brainchild of Drs. Kamel and
19 Tomasulo, and what we did is akin to the PASSPORT data that
20 looked at day 8, about 6,000 units predominantly Amicus,
21 some Trima, some Acrodose. We had 100 percent Trima, and
22 when our products expired on day 5, we either got them back
23 from the hospital or took them off our shelves, quarantined
24 them, and two days later did a bacterial sample. It was 10
25 mls and a BacT on day 7.

1 Of approximately 8,000 Trima collections --
2 products -- we had four collections that were involved in
3 positives, and this is a little confusing. The first one
4 was two products, but the second was a red cell. So on day
5 7 we cultured the platelet. It grew a Leclercia, but the
6 red cell grew nothing.

7 Second was a double platelet. The first product
8 on day 7 grew coag negative staph, but the second product
9 was outdated. It was one of the 40 percent that we could
10 not get back after outdate for us to culture. Third was
11 another double, and yet another twist, the true positive
12 was a coag negative staph, but when we called the hospital,
13 they cultured the patient and the patient had had
14 absolutely no reaction, but she had coag negative staph in
15 her blood cultures. Is it true, true and unrelated? There
16 are two contaminants? Is it true?

17 Unfortunately, we did not do molecular testing at
18 that time. So one could presume that indeed you had an
19 infected patient from the second product. But that's not
20 entirely clear. Much more clear in the patient realm is
21 the fourth one, which has its own twist, and that is it was
22 a triple platelet. We had two of the platelets. One of
23 the two BacT bottles alarmed, and instead of culturing both
24 platelets, they said one is as good as two, and they chose
25 the wrong one. So they cultured the one that had a

1 negative BacT bottle. So we have a clear true negative, or
2 clear negative false positive, if you will, the initial
3 alarm.

4 The second one, though, since they didn't
5 culture, it was an indeterminate, but let's assume it's
6 positive, because the third one had been transfused to a
7 patient, and that patient, the transfusion service did not
8 have record of a transfusion reaction, but when they went
9 back to the chart, indeed the patient had a florid septic
10 transfusion reaction, and had coag negative staph in his
11 blood, yet again not molecularly characterized, but it was
12 very suspicious, because this person had a florid
13 transfusion reaction.

14 So what we have are four products that likely
15 cultured positive. Three that definitely cultured
16 positive, and the fourth presumably, had an indeterminate,
17 presumably was infected as well. So that's a rate of 498
18 per million. When you look at the PASSPORT data, again
19 very difficult to compare these. So these are of interest,
20 but they are not definitive. The day 8 culture of an 8 ml
21 day 1 BacT of mostly Amicus, some Trima, some Acrodose,
22 whole blood derived pools, 662 per million.

23 We are in the ballpark. That's all I can say,
24 because these are not statistically significantly different
25 numbers. When you compare that to Verax, the Verax study

1 published in 2011, had 27,620 products that were tested,
2 10,424 of which were cultured at the same time. You're not
3 only comparing apples and oranges, you're not even
4 comparing fruit. You're comparing fruit to something else
5 totally different, because with Verax, you tested right
6 before transfusion, days 2, 3, 4, 5. We have day 7 data.
7 PASSPORT has day 8 data. So the latest they tested was day
8 5.

9 Their only very clear septic transfusion reaction
10 was amongst the 10,424 that were cultured. So active
11 surveillance. And all we know that is that 31 percent of
12 the units of all 27,000 were day 5. So applying that and
13 assuming that the sub-study was very much like the entire
14 study, you can come up with a number around 620 per
15 million. Again, not statistically significantly different,
16 and, unlike PASSPORT, they included patients in their
17 study. So if we include our patients at 746 per million,
18 again 746 and 620 are not statistically significantly
19 different.

20 These studies are way underpowered because they
21 have -- these are rare events with an N of 1 or 2. So very
22 difficult to compare these, but I would say very strongly,
23 we think we are in the same ballpark at least.

24 To close with some thoughts here. One, what we
25 are trying to do is increase the safety increment, right?

1 But we're not trying to sterilize every unit. We are
2 trying to prevent all septic transfusion reactions,
3 particularly the one out of every eight that results in a
4 patient fatality. But inextricably linked with that is
5 platelet availability, because if you have the best system
6 in the world to reduce even all contamination, if you
7 markedly reduce availability, as we saw from the first
8 slide, you can harm as many people as you help, and you
9 have, to coin a phrase, not changed blood safety one iota.

10 Also, operational impact and cost are important.
11 But they are more important to the blood centers and the
12 hospitals than they are to patients. So that's a secondary
13 consideration. What we need to look at is availability and
14 safety.

15 Seven day dating actually helps with both.
16 Things that we don't care about as much, the cost, but also
17 with availability, which translates to safety. So of the
18 options that are available to us, that we either have heard
19 of or will hear about later today, INTERCEPT is a 5-day
20 product, and we'll talk more about the guard bands and some
21 of the issues related to that, why it can never reach 100
22 percent of the way we collect apheresis platelets in the
23 United States, and even if we had whole blood derived
24 platelets to fall back on, there's a reason they're 8
25 percent of our national blood supply. It's because you

1 have to be collecting within 6 hours of your manufacturing
2 site in order to do that, to make it under the 8-hour wire
3 to have the product separated and on a rotator in 8 hours.

4 So that's not necessarily a panacea either,
5 increasing whole blood derived, because not every center is
6 able to do that and is very close and can meet those
7 stringent timeframes.

8 When we talk about secondary testing, whether
9 BacTx, we'll hear about, whether it's BacT that we have
10 heard about, whether it's on day 3 to get you 5 days or day
11 4 to get you 7 days. There are few data that allow us to
12 even compare. So if you look at that 2011 Verax study,
13 clearly there was one septic transfusion reaction amongst
14 the 10,424 that were cultured, but the whole study you
15 could use as well. That's 1 per 27,500 with a day 5
16 product.

17 There was another day 5 product in that study
18 that the patient had a mild allergic reaction, did not have
19 anything related to sepsis, but when they looked at the
20 bottle, it actually grew an organism. Unfortunately, the
21 paper doesn't say whether or not the patient's blood had
22 the same organism, but I would suspect that it did and
23 maybe Dr. Jacobs can comment on that when he speaks.

24 So that might be 2 in 27,500. That would be the
25 residual risk of a Verax-tested platelet on day 5 or on day

1 2 or 3 or 4. So I think that that as a licensed product
2 tells you what our tolerance is for residual septic
3 transfusion reaction rates.

4 Dr. Bloch reported their Hopkins data. There are
5 now more than 23,000, but they have not found anything.
6 These are rare events, and Dr. Field just presented about
7 72,000 I think was your number now; you're up from 65 from
8 the last BPAC meeting. Again, no products that have
9 escaped and gotten into patients and caused a reaction.

10 So these are rare events. It's really hard to
11 characterize, but when you look at enhanced primary
12 culture, our data suggests that we're not at 1 in 492,000.
13 One other event in the numerator could halve that to 1 in
14 approximately 250,000, and that's passively reported, as
15 are the data on BacT and the Verax data are both actively
16 about 39 percent of their data are active surveillance, and
17 the rest are passive surveillance.

18 So again, very hard to pull these together. But
19 they give you a sense of what you're dealing with. You'll
20 hear from our friends in the UK, Dr. McDonald will talk
21 about the large volume delayed sampling. Their rate when
22 he last spoke was 1 in 1.8 million. People say, well,
23 there were four near misses. True, but one of them could
24 not even be transfused. It was egg drop soup, but even
25 assuming those other four, if you add them back in, that

1 leaves a residual rate of septic transfusion reactions of 1
2 in 360,000.

3 If you presume that these passive rates are about
4 10 times lower than what you get, then the rate would
5 probably be 1 in 36,000, or our rate would be 1 in 49,000,
6 which is markedly different from what you would expect when
7 you look at the Hong data, 1 in 10,000, again Amicus
8 predominant platelets, some Trima, some Acrodose, all
9 tested with either 8 mls of BacT on day 1 or 4 mls, in an
10 eBDS system, which has now been phased out.

11 So I would leave you with the thought that, yes,
12 you can't compare these strictly to each other, but the
13 numbers do speak for themselves to some degree. If I had
14 my druthers, I would love to have pathogen inactivation
15 tomorrow, right? Give it to me. Good, fast, cheap, pick
16 two.

17 Unfortunately, to get -- and Dr. Bloch showed
18 \$100. I think that's very generous. That's a loss leader
19 kind of pricing, because to get to 20 or 30 percent,
20 there's not much that needs to be done. Eligibility for
21 those units to be pathogen reduced, don't have to change
22 collections markedly. To get up to 50 to 55 percent, you
23 have some significant costs and significant changes, but in
24 our system, where we have gotten up to 80 to 85 percent
25 eligibility, our availability has dropped dramatically, and

1 we still can't get beyond 85 percent, because of the guard
2 bands, and in fact, our costs -- it's not one unit, one
3 kit. We don't have triple that are approved. So we use
4 two and sometimes three kits to fit into the guard band.
5 It's much more than \$100 each to do all those kits and all
6 the effort related and changing the volumes of the
7 platelets to meet these guard bands.

8 So it's not ready for primetime to be 100 percent
9 of our blood supply, which would I think be the safest
10 thing, particularly if the government and other payers
11 would fund that. So most of the inpatient transfusions are
12 not funded. They are part of a DRG. Outpatients are well
13 reimbursed, but inpatients are not.

14 So you have another opportunity, and since you
15 can't get to 100 percent, if tomorrow every hospital wanted
16 pathogen-reduced platelets, you would still have a mixed
17 inventory, and who do you give the safer platelets to?
18 It's a difficult decision as a physician.

19 So right now point of issue testing, right, the
20 secondary testing, or the enhanced primary culture would be
21 at either minimum proportional sample volume or low volume
22 delayed sampling. I think all of them substantially reduce
23 risk. They do not eliminate it. But frankly, neither does
24 pathogen reduction. There are three cases, one of which is
25 recent in the United States, and I believe truly was an

1 infected unit that occurred in a pathogen-reduced product.
2 It is possible to infect the products afterward, after the
3 pathogen reduction occurs. So there are three cases. I
4 know Dr. Benjamin will comment on them.

5 So it's not entirely safe but it is as safe as
6 you get, I think, in the early part of the 21st century,
7 but in the interim, we have other options, and I think
8 hospitals are going to need to deal with this. Hospitals
9 that are faced with mixed inventory, hospitals that are
10 faced with cost constraints, blood centers, also facing
11 cost constraints. We're going to need to think very
12 carefully and not let the perfect be the enemy of the good
13 and produce a number of options for hospitals to make their
14 choices.

15 So with that, I think I am done.

16 DR. ALLEN: Thank you very much, Dr. Vassallo.
17 Very nice summary of a lot of data. Any questions or
18 comments for Dr. Vassallo?

19 DR. STRAMER: Ralph, can you talk more about the
20 197 true positives that you discussed on slide 6 for
21 periods B and C, with your 24- to 36-hour hold? I'm
22 curious when those came up, if you know, within the 24- to
23 36-hour timeframe, how many bottles were positive for those
24 197. You really didn't break those out. And then how you
25 make 36-hour platelet hold work with 5-day platelets.

1 DR. VASSALLO: So let me answer the last one
2 first. We're at 24 hours-plus, but we cannot do everyone
3 at 24 hours, because platelets are collected throughout the
4 day. So right now we do have up to 36-hour delay, and we
5 get platelets out on day 3 or late on day 2. So if we were
6 to go to a 36-hour minimum, that would certainly impact
7 shelf life in a 5-day product, and that's why the UK has a
8 7-day product for a 36-hour initial incubation.

9 When you talk about the organisms, unfortunately,
10 I don't have the times here. They are in the paper for
11 period B, and my recollection is they're the usual -- they
12 are less than the usual initial BacT, which is about 16.5
13 hours. They were a little less, maybe 12 to 14 hours as a
14 median for the ones that came up in the periods B and C.

15 You also had a third question, and I'm blanking.

16 DR. STRAMER: It was related to how many bottles
17 were positive for the 197.

18 DR. VASSALLO: The number of discordant bottles,
19 obviously, suggests that you're having lower numbers of
20 bacteria, and again, in the paper we have -- I think there
21 are 12 discordant bottles, bottle sets, among the -- I
22 don't think I have it here -- sorry, the 159,000 or 12
23 discordant bottle sets.

24 DR. STRAMER: The reason I ask is because I didn't
25 think the paper was clear, and it said that you really

1 didn't record the platelet hold time. So I thought maybe
2 you had additional information.

3 DR. VASSALLO: It is what everyone does, because
4 no one can get exactly at 24 hours.

5 DR. STRAMER: So everyone is basically doing a
6 minimum of 24 hours and some can go out to longer periods.
7 Thank you.

8 DR. ALLEN: Other questions or comments? I am
9 assuming that in the real world, not in the study setting,
10 that you get something that is ultimately believed to be a
11 false positive or a discordant result, you don't transfuse
12 that product if it's still available. It gets pulled.
13 Overall, what has that done to the cost of the whole
14 testing process? Because that's obviously -- a thrown unit
15 is part of the cost.

16 DR. VASSALLO: Well, you see in periods B and C
17 right on the slide our discordant negatives and our true
18 positives are kind of similar to what they used to be. The
19 real hurt is the rise in false positives that will rise
20 even more dramatically with an anaerobic bottle. Anaerobic
21 bottles will pick up, as I think Dr. Leitman said at the
22 last BPAC, that very rare obligate anaerobe, but we have
23 seen them, but that may or may not drive the need for an
24 anaerobic bottle, but it would certainly drive up the false

1 positives. So our false positive rate really drives the
2 discard of, if you will, good platelets.

3 DR. CALIENDO: Angie Caliendo, Brown. So both you
4 and the previous speaker mentioned opening and closing
5 drawers of the Bactec system. How logistically feasible is
6 it to do what the previous speaker mentioned, which is to
7 have instruments designated per day so that you're going in
8 and out less frequently? How practical would that be for
9 you?

10 DR. VASSALLO: Good question. What it means is
11 you buy more BacT instruments and controllers. So actually
12 that is part of why our rate has fallen over time is we are
13 doing that, but it has additional costs associated with it,
14 as are adding additional bottles for people that are doing
15 that, because you actually have to have more incubators and
16 controllers.

17 The other option about not opening and closing is
18 the bioMerieux folks are trying to produce a son of BacT,
19 if you will, that they call VIRTUO, which you put the
20 bottle on a conveyor and it goes in without having to open
21 and close the drawers. So that's under consideration, I
22 believe, for FDA approval.

23 DR. ALLEN: Thank you very much.

24 Our next speaker is Dr. Carl McDonald from
25 National Health Service Blood and Transplant Section of the

1 UK; large volume and delayed sampling is the topic
2 presentation.

3 **Agenda Item: Large Volume and Delayed Sampling**

4 **Testing Strategy, with Dating to Day 7**

5 DR. MCDONALD: Thank you for the invite from the
6 FDA to speak here, to Joanne and Bryan for looking after me
7 so well. Very much appreciated.

8 My talk is NHSBT bacterial screening, two million
9 and counting, because we have now got to 2 million as I
10 stand here now. I have no disclosures.

11 A little bit about NHS blood and transplants, we
12 incorporate the National Blood Service of England. We are
13 the sole suppliers of blood in England, collect roughly
14 about 1.8 million whole blood donations, and produce
15 approximately a quarter of a million platelets per year.

16 So in my short 20-minute talk, I'm going to go
17 through the NHSBT strategy to reduce the transmission of
18 bacteria by transfusion, the impact of diversion and
19 improved donor arm disinfection, the NHSBT protocol for
20 bacterial screening, bacterial screening results, the added
21 value of bacterial screening, and any future developments.

22 So back in the late 1990s, we carried out a study
23 which showed that the majority of contaminants got into our
24 blood components were skin contaminants. So we came up
25 with a strategy of developing an improved donor arm

1 disinfection method, which we now use the ChloroPrep
2 methods, 2 percent tincture -- sorry, chlorhexidine
3 gluconate plus 70 percent isopropyl alcohol, diverting the
4 first 20 or 30 ml away -- of blood -- away from the
5 collection bag, these will house the skin contaminants, and
6 we looked into bacterial screening methods, including the
7 BacT/ALERT at the time.

8 These three interventions together have given the
9 success we've obtained, and I think needs to be remembered,
10 not just one of them individually. So improved donor arm
11 disinfection, implemented nationally in 2007, diversion in
12 2003, and in combination we predicted that 77 percent
13 reduction in contamination, and that's actually what we
14 got. So excellent interventions, but in the period after
15 the implementation of improved donor arm disinfection and
16 diversion, 2006 to 2010, we had seven contamination
17 incidents in components, 10 patients affected, three
18 deaths, and five near misses, and if you bear that in mind
19 what I'm going to say at the end, where we are now.

20 So NHSBT moved to bacterial screening. The board
21 decided in 2010 to implement bacterial screening and had to
22 be implemented within 12 months. Rolled out in February
23 2011 and the whole of NHSBT, all the sites for screening,
24 all components, and all going to hospital being screened.

1 So the BacT/ALERT system was chosen through a
2 tendering process. This is our screening facility. We
3 currently have now three screening sites, culling down in
4 London, Manchester, and filtered in Bristol. And they
5 cover approximately a quarter million platelets per year.
6 So, we have a nice lean system, where we have the
7 BacT/ALERT system, the platelet shakers, and the laminar
8 flow cabinets for doing the inoculating all in the same
9 area, it's a nice easy throughput system. We have barcode
10 labeling to give a specific sample identification.

11 Our protocol, we do one test. We extend shelf
12 life to seven days. Platelet components are held for 36 to
13 40 hours, and the idea of that is to allow the bacteria to
14 grow sufficient numbers to be captured in the sample taken
15 to be inoculated. The BacT/ALERT bottles, the platelet
16 components are sampled, and tested. We put 8 mls into each
17 BacT/ALERT bottle. We do aerobic and anaerobic culture.
18 And we also screen all vat splits individually.

19 The units are held for six hours, and the six
20 hours comes from, that is inherited in, that's through our
21 computer system when it first gets sent the result from the
22 BacT/ALERT system. They're released with a 7-day platelet
23 shelf life, monitored throughout that period, and any
24 positives are recalled.

1 What happened after the introduction? And this
2 is our quarterly bacterial screening rates for initial
3 reactivities and confirmed positives, February 2011 to March
4 2018. All the reporting periods, bar one slide, refer to
5 that period. We started off, as has been mentioned today
6 already, we had a very high initial reactive rate of over 3
7 percent, and in NHSBT, when we get an initial reactive, all
8 the components from that donation will be discarded. The
9 red cells, the pooled platelet, the plasma, would all be
10 discarded, so 3 percent was unsustainable for us, so rapid
11 action was put in place. Staff were retrained, as it's a
12 new process for us.

13 There were issues with the siting of the
14 BacT/ALERT machines near air conditioning vents and stuff
15 which were upsetting the machines. We also noted there was
16 a problem, as the Irish blood service did, that there was a
17 problem with the anaerobic bottle generating false
18 positives due to temperature differences, opening and
19 closing the incubator drawers.

20 So we adopted the Irish approach of only loading
21 30 bottles in each incubator drawer, and closing that
22 drawer, and not going back to revisit that drawer for 48
23 hours, and that dramatically reduced our initial reactive
24 rate. It now ticks along about, the blue column here, the
25 initial reactive rate, 0.3, 0.2, depending on the month.

1 And note, the confirmed positive rate is consistent
2 throughout the entire reporting period.

3 Number of initial reactive confirmed positives,
4 same reporting period. Apheresis, over 1.3 million
5 screened, initial reactive rate, 0.33 percent. Confirmed
6 positive rate, 0.02. Pooled, over half a million screened,
7 0.25 percent initial reactive rate, confirmed positive
8 rate, 0.07. So, in total, from the data up to March,
9 nearly 2 million screened, now it is 2 million, 0.3 percent
10 initial reactive rate, confirmed positive rate, 0.04.

11 The apheresis rate is a lot lower than the pooled
12 rate, and statistically different, due to the number of
13 venipunctures. We have four donors who contribute to a
14 platelet pool, and surmise that it's the reason why we have
15 the higher rate in the pools.

16 This is the initial reactive screen bottle data,
17 and I should say, we, in NHSBT, have a policy when we get
18 an initial reactive, we have to remove all the bottles from
19 that donation off the system. The other bottles may have
20 gone positive, but this is what we're showing throughout
21 our initial reactive screening data. With the anaerobic
22 only, we got 73.8 percent of our initial reactives.
23 Aerobic, 21.3 percent, and in both, 4.8 percent. Now, the
24 anaerobic bottle would seem to have good sensitivity, but

1 poor specificity, as we have 77.9 percent of our false
2 positives from an anaerobic bottle.

3 This is a different reporting period. This is
4 just looking at confirmed positives. This is reference
5 laboratory data, not screening data. This is for platelet
6 components, and we only confirmed on the platelet component
7 alone. We have confirmed, some confirmed positives, on red
8 cells, where the pooled platelet has not been present. So,
9 237 in total, and the anaerobic bottle only, and these
10 bottles are left on the system for the entire seven days,
11 we got 49.8 percent of our reactives from the anaerobic
12 bottle only. Aerobic, 1.7 percent. And both bottles, 48.5
13 percent. And if we deduct the proprionis from this figure,
14 it becomes about 20, 20.7 percent, approximately.

15 So, the anaerobic bottle has good sensitivity,
16 poor specificity in total. The reason we use the anaerobic
17 bottle, I should say at this point, is the fact that we
18 have a transmission of *Clostridium perfringens*, and we'd be
19 negligent in not using an anaerobic bottle, as this cause
20 of death in patient.

21 Confirmed positives, 730 in total, 703 gram
22 positives, 27 gram negatives. We get a wide range of
23 organisms using the two-bottle system. The majority are
24 skin contaminants, the largest group being the proprionis.
25 I'm a believer that we need to detect all the bacteria

1 present. We shouldn't transfuse bacteria into a patient.
2 And that is a plus for doing bacterial screening, and also
3 for path inactivation, of killing the bacteria, so they
4 don't go into the patient, live bacteria into the patient.

5 Largest group is skin contaminants, followed on
6 by oropharyngeal, followed on by enteric organisms,
7 followed on by environmental organisms. This slide shows
8 you the confirmed positives we feel are particularly
9 pathogenic. We have *Streptococcus dysgalactiae*,
10 *Staphylococcus aureus*, *Streptococcus pneumoniae*,
11 *Streptococcus agalactiae*, *Listeria monocytogenes*, *Bacillus*
12 *cereus*. Please note the rapid detection times for these
13 organisms. None of these left NHSBT, none of these went to
14 hospitals. We retained these, and they were not
15 transfused. Total cases, 71; 87 components contaminated.

16 Looking at the gram negatives we feel are
17 particularly pathogenic. *Escherichia coli*, *Serratia*
18 *marcescens*, *Klebsiella oxytoca*, *Klebsiella pneumoniae*,
19 *Proteus mirabilis*, *Pseudomonas aeruginosa*, and
20 *Campylobacter lari*. Again, very rapid detection times,
21 with the exception of the *Campylobacter lari*, which I
22 believe is the first or second detected from platelet
23 component in the world, reported in the literature.

24 This is data, really enforces why we screen all
25 our splits. This is data, when we got all the splits back

1 into the reference laboratory for confirmatory purposes.
2 In the doubles, we got 47.7 percent, only positive in one
3 of the splits, with 52.3 percent in both of the splits. In
4 the triples, 50 percent only in one of the splits, the
5 other two were negative, 20.6 percent in both two-splits,
6 and 29.4 percent in all three splits. And when it was
7 across the board of all three splits, it's potentially a
8 bacteremic donor.

9 Near misses and transmissions -- one transmission
10 with *Staphylococcus aureus*. The patient, an elderly
11 patient, became unwell. Fever, typical symptoms, rigors,
12 but after a couple of days, recovered.

13 Four near misses, three were *Staphylococcus*
14 *aureus* and one with *Serratia marcescens*. This is near miss
15 3, and by near miss I mean it was on the way to be
16 transfused, either stopped at hospital or by our own staff,
17 due to its abnormal appearance. As you can see, these
18 lovely clumps in here.

19 The first three near misses all were
20 *Staphylococcus aureus*. We did not, regrettably, retain the
21 bottles for further investigation. So, we don't know if
22 these bottles actually inoculated. But, I'm pretty
23 certain, with three cases, they probably were. We have
24 very, very stringent verification stages in the bacterial
25 screening process. The bags are weighed before and after

1 sampling, and we would know, it would flag up on the
2 computer system if that was not the case.

3 We are actively now investigating why we've
4 missed these *Staphylococcus aureus*, and it's been reported
5 by other blood services. We are doing 14 genome sequences
6 of the near misses and the transmission, and we're also
7 doing growth kinetic studies using BacT/ALERT sampling at
8 36 and 48 hours, and also, hopefully, with the BacT/ALERT
9 VIRTUO system.

10 This is near miss number 4, *Serratia marcescens*.
11 Positive in only one of the splits, here. Notice pack 2 is
12 completely negative. We did retain the bottles. These are
13 the set bottles, inoculated and uninoculated control
14 bottle. The bottles worked. We put *Serratia marcescens*
15 into the bottles, and they went positive, and there was no
16 bacteria present within those bottles, whatsoever.

17 So, why did we miss this one? Can't really call
18 it a BacT/ALERT failure, because there was no bacteria, so
19 it's not really the system's fault why we didn't detect
20 this. Insufficient bacteria at time of sampling? On the
21 next slide I'm going to show you why I don't think that's
22 the case. Maybe we need to think about this. Maybe the
23 pack integrity is not what we think it is, and maybe the
24 contamination got in afterwards.

1 This is a growth curve for *Serratia marcescens*,
2 suspended in plasma, which is what we have in our apheresis
3 platelets, and we inoculated 10 per bag -- not 10 per ml --
4 and by our first point, we'd first take sampling at 36
5 hours, we got over 10^7 in the bag, so it's extremely
6 unlikely we're going to miss it, and by 40 hours, which is
7 our last point, the longest period we can actually leave
8 the bags for before sampling, we got over 10^9 . So, very
9 unlikely we missed it due to lack of growth of the
10 organism.

11 Added value of bacterial screening? Over the
12 years, we've detected four *Streptococcus bovis*, which has a
13 link with bowel cancer, and we found four donors who had
14 colonic polyps. And there's a nice paper from Lee,
15 published in *Transfusion*, with his work in Hong Kong on
16 detecting with BacT/ALERT donors with *Streptococcus bovis*,
17 again, who had bowel cancer, and referred to the hospitals.

18 We've also had donors with *Streptococcus*
19 *constellatus*, and *Peptostreptococcus micros*, who had gum
20 disease. And so it has an added benefit. Also, if you
21 detect *Pseudomonas*, you pretty got a problem with your
22 production facilities. And if you're getting an increase
23 in *Staphylococcus* species you've probably got a problem
24 with your donor arm disinfection, or maybe diversion. So,
25 it gives you feedback on what is going on out there.

1 In the future, this is the BacT/ALERT VIRTUO, the
2 new generation BacT/ALERT system. This, as Ralph alluded
3 to, loads the bottles automatically into the system, so
4 there are no incubator drawers to open and close. So,
5 we're hoping that this will get rid of the false positives.

6 Also, we've done a study in our laboratory for
7 obtaining a CE mark for bioMerieux for pooled platelets and
8 apheresis platelets, and we found that the machine gives
9 fast detection times. I wouldn't say it's more sensitive,
10 but it's picking up the bacteria quicker, which might have
11 added benefit, and it's 1 to 3 hours faster, per species,
12 we noted.

13 It has automated loading and unloading, which
14 will be a staff benefit. It has also other benefits. It
15 automatically scans the bottles to see if they've been
16 inoculated, and it reads the expiry date on the bottles and
17 calibrates the machines automatically. For an organization
18 that is putting half a million bottles through the system,
19 it has added value. NHSBT is intending, in 2019, to use
20 the system at one of its sites in an operational trial to
21 see if we get the benefits from the system that we predict
22 it may have.

23 We've had only one transmission in over 2 million
24 platelet components screened, which was *Staphylococcus*
25 *aureus*; four near misses, three with *Staphylococcus aureus*

1 and one with *Serratia marcescens*; a false negative rate of
2 1 in 360,000. We've tested 6,217 types by platelet
3 components, and we've got one confirmed positive out of
4 that, so we do have a secure system -- and that was
5 *Streptococcus pneumoniae*. But obviously, not infallible.
6 But if you think back to what we had before, 10 incidents,
7 three deaths and five near misses, we have improved the
8 safety of the blood supply considerably.

9 Why have we got the good results we've got?
10 Delayed sampling, waiting the 36 to 40 hours to sample the
11 units. We test a high volume, 5 to 7 percent of the
12 platelet component, and we screen all the apheresis splits,
13 and we use a two-bottle system, aerobic and anaerobic, to
14 capture a wide range of species. In conclusion, bacterial
15 screening within NHSBT has proven to be an extremely
16 successful risk reduction intervention, and we published on
17 this in *Transfusion* in 2017, the methoding and our results
18 to that period.

19 I'd just like to acknowledge Jennifer Allen,
20 Anjana Roy, and Kate Aplin, Su Brailsford, and Mfon Asuquo,
21 for helping me put the presentation together and providing
22 the data. So thank you for your attention.

23 DR. ALLEN: Thank you, Dr. McDonald. Very
24 interesting information. Any questions for Dr. McDonald
25 from the panel?

1 DR. LEITMAN: So, I'm going to persevere on the
2 same thing that bothered me in December of 2017. The
3 reason for the anaerobic bottle, the major reason, was
4 because of the septic transfusion reaction fatalities due
5 to *Clostridium perfringens*.

6 DR. MCDONALD: The major reason. We debated, A,
7 the time we actually sampled the bottles, what was
8 operationally feasible. We also debated, do we do an
9 aerobic bottle, an anaerobic bottle, or both. And the
10 debate ended up, there's two reasons. A, we felt if we
11 didn't do the anaerobic bottle and we had a transmission of
12 *Clostridium*, we would be on very dodgy ground legally, that
13 we had knowledge of this and we didn't put this in place.

14 DR. LEITMAN: I understand. From February 2011 to
15 March 2018, the seven-year period, there were no
16 *Clostridium perfringens*. And you had the major cause of,
17 if you will, of discarding components, which would have
18 been overwhelmingly likely to not cause a transfusion
19 reaction, was the anaerobic bottle positivity.

20 DR. MCDONALD: Yes, the highest false positive
21 rate, yes.

22 DR. LEITMAN: That's a very sizable cost, if you
23 will, because you're discarding products which are almost
24 certainly safe. Just pointing that out.

1 DR. MCDONALD: Pros and cons. We're hoping that
2 with VIRTUO, and adopting our new loading pattern that we
3 did, as the Irish blood service, that was substantially
4 reduced, we're probably operating at the tolerances of the
5 system, now.

6 DR. ESCOBAR: Miguel Escobar, from the University
7 of Texas. You mentioned the amount of sample you draw is
8 about 16 mls, which is 5 to 7 percent of the donation,
9 correct? Is there any data showing that removing that
10 amount, does it make those platelets less effective or
11 patients are needing more transfusions because they're not
12 getting enough platelets due to the amount that is taken
13 out?

14 DR. MCDONALD: There is no data on that, but the
15 feedback has been -- we can't take any more out, is -- we
16 couldn't do a retest. That is the maximum we can take out.
17 But there's no data on that, no.

18 DR. ESCOBAR: There's no data saying you are
19 getting less number of platelets for that transfusion.

20 DR. MCDONALD: For the patient, yeah. We've had
21 no complaints back from the hospitals.

22 DR. ESCOBAR: I assume the majority of patients
23 that get platelets are going to be the thrombocytopenic
24 patients, transplant, cancer. Okay.

1 DR. MCDONALD: Obviously, that is a concern, and
2 that has been discussed in NHSBT. The question of the
3 volume we take out, the safety against the efficacy.
4 That's always going to be the issue.

5 DR. STRAMER: Susan Stramer. To help dissect
6 further into Dr. Leitman's question, I don't know that you
7 showed, or I don't remember seeing where you showed those
8 organisms that were detected in the anaerobic bottle only,
9 and time to detection of those, but the ones that are not
10 *P. acnes*, and that potentially would cause septic
11 transfusion reactions in recipient, because I think the
12 anaerobic bottles we talked about in December, or November
13 30, was not only to detect obligate anaerobes, but also
14 facultative anaerobes. It's a richer medium, hence more
15 false positives, but also decreases the lag time in
16 potentially facultative anaerobes that maybe we wouldn't
17 detect in an aerobic bottle. So, I was just curious if you
18 had further data to dissect out what you're detecting in
19 the anaerobic bottle that's not *P. acnes*?

20 DR. MCDONALD: Right. We don't have further data,
21 but the data we have shows that captured the wider range of
22 organisms. So, if you were only doing one bottle, the
23 anaerobic bottle, potentially, is the bottle of choice.
24 Though I'm not recommending that.

1 DR. ALLEN: Just a quick question going back to
2 the initiation of your system in 2011. You went from a 3
3 percent rate back down very quickly. Was that, the rate of
4 decline, related to the training that went on and so on, or
5 do you think it was the system itself?

6 DR. MCDONALD: I think it is multifactorial. Some
7 of the systems were housed in areas of the building
8 inappropriately, and they were getting blown on by air
9 conditioning systems, upsetting the machines. We had
10 another problem with electrical interference with the
11 machines, electrical spikes in the power supply, so line
12 conditioners were put in place. So, multifactorial were
13 why, and the loading pattern for the anaerobic bottle, too.
14 Multifactorial, really. And also the staff training, as
15 well, reminded of aseptic technique, et cetera.

16 DR. ALLEN: So, certainly, a very important object
17 lesson learned from that experience.

18 DR. MCDONALD: Which was shown by the Australian
19 blood service, when they , I think, had a 1.7 percent
20 initial reactive rate when they implemented bacterial
21 screening. We're not alone.

22 DR. CALIENDO: Angie Caliendo, Brown. I am still
23 trying to get my head around this anaerobic bottle. You
24 showed a slide of serious pathogens that you detected, and

1 there was a variety of organisms. Did any of them grow
2 only in the anaerobic bottle?

3 DR. MCDONALD: I think, as I said in November, I
4 think we are getting too obsessed with the aerobic-
5 anaerobic. I think because an obligate anaerobe is not
6 really going to grow in the platelet environment, in
7 anaerobic bacteriology. It's more the fact the media
8 that's in the environment of the bottle does seem to have a
9 wider range of detection of organisms than the aerobic
10 bottle.

11 DR. CALIENDO: I guess another way to think about
12 it is, is it just the volume? Or do you really think the
13 media is making the difference?

14 DR. MCDONALD: I think it's the atmosphere, the
15 atmosphere within the bottle. The media's the same.

16 DR. HARRELL: Lizzie Harrell, Duke. One quick
17 comment about the anaerobic bottle. I think when we look
18 at the platelets, we're looking back to the same reason we
19 use both bottles for routine blood cultures, to a certain
20 extent, because some of the organisms that we see routinely
21 causing bacteremia, they also grow in both aerobic and
22 anaerobic bottles, and sometimes they will grow better in
23 the bottle that's anaerobic, and therefore having the two-
24 bottle systems sort of gives you a chance to sometimes
25 assess whether you think you are having possibly true

1 contaminants versus having a true pathogen, because you are
2 seeding in both of those bottles. And also it increases
3 the volume of blood that you're testing by having those two
4 bottles, and you're trying to cover both bases.

5 DR. MCDONALD: I would agree with that. We've
6 covered a nice range of organisms, also increasing the
7 volume, so increasing that chance of detection. Yes.

8 DR. CALIENDO: Angie Caliendo. I would just make
9 the comment, though, this is a little different than a
10 blood culture for a patient, where I can look at them and
11 decide whether or not I think it's important, if it only
12 grows in one versus the other. For now, for this
13 situation, they're gone. Those units are gone, no matter
14 what. Even if only one is positive, no one's going to take
15 the chance to say, we're not going to pool that.

16 So, I feel like getting back to what one of the
17 speakers said earlier, is it's how do we keep from having a
18 supply problem while we're fixing the contamination
19 problem. And I guess that's how I'm thinking about all of
20 this. What's the false positive rate versus how much safer
21 are we making the supply? That's what I'm trying to get my
22 head around.

23 DR. ALLEN: I think that will be an important
24 topic for discussion this afternoon.

1 DR. LEITMAN: Just one more quick comment, the
2 plastics of the platelet container are devised by the
3 manufacturer to be oxygen permeable, so the atmosphere
4 environment inside the platelet container is so different
5 from the atmosphere inside the anaerobic bottle, so what
6 grows well in an anaerobic bottle may be meaningless inside
7 the platelet container. I think was said, maybe, by Dr.
8 Stramer. But it's worth pointing out that the platelet
9 containers are designed to be oxygen permeable, gas
10 permeable.

11 DR. MCDONALD: But the organisms are growing in
12 the anaerobic bottle. Which doesn't sound logical, but
13 they are.

14 DR. ALLEN: Dr. Jones, CDC.

15 DR. JONES: Thank you. If at any point you can
16 tell us which organisms were able to grow just in the
17 anaerobic bottle, that would be quite helpful.

18 DR. MCDONALD: It is in the publication.

19 DR. JONES: Okay. We did release a report late
20 last month about *Klebsiella facultative anaerobe* and *C.*
21 *perfringens* reactions that did result in a death in the
22 United States, so it's a very important question for us, as
23 well.

24 DR. ALLEN: Thank you. Any other questions or
25 comments? Dr. McDonald, thank you very much for your

1 presentation. The next speaker is Dr. Michael Jacobs, Case
2 Western Reserve University, speaking on behalf of Verax and
3 Immunetics.

4 **Agenda Item: Bacterial Rapid Testing Strategy**

5 DR. JACOBS: Thank you, Mr. Chairman, ladies and
6 gentlemen, committee members. I am giving the presentation
7 on behalf of Verax and Immunetics, but I'm primarily giving
8 this presentation on behalf of patients. Because patient
9 safety is our issue, and Verax and Immunetics have kindly
10 allowed me to extend this to a more general overview of the
11 subject, and to try to put everything you've heard up to
12 now into context. And I'm going to try and do this based
13 on the evidence we have, not on supposition.

14 Here are my disclosures. I have every conflict
15 imaginable. But I am paying my own expenses for this and I
16 am receiving no financial support for my travel expenses or
17 time associated with this presentation.

18 My presentation covers assessment of the
19 available evidence regarding the efficacy of primary and
20 secondary testing of platelet products in detecting
21 bacterial contamination of these products. It also
22 includes evaluating two FDA-approved secondary test methods
23 on behalf of their manufacturers, PGD Test, Verax, and
24 BacTx Test, Immunetics.

1 These are some of the source data that I'm using,
2 and you have this for reference. I'm not going to read
3 these all out. I also wanted to give the committee some
4 background. Many of these are redundant because you've
5 seen some of these publications referred to by previous
6 speakers. I'm a clinical microbiologist, I've been the
7 director of clinical microbiology since 1979, Case Western
8 Reserve University and University Hospitals.

9 I got involved in bacterial contamination in 1991
10 when Dr. Roslyn Yomtovian, who was the medical director of
11 our transfusion service at that time, came into the micro
12 lab with a septic transfusion case, and I've worked with
13 her ever since, and I'd also like to dedicate this
14 presentation to Dr. Yomtovian, who passed away in 2014.
15 Had she not, she would be giving this presentation. As
16 many of you knew her, she was passionate about patient
17 safety, both as a transfusion medicine specialist and later
18 on as a patient who received multiple platelet
19 transfusions, and in fact, she addressed this committee in
20 2012 from the patient's perspective.

21 I continued working on these issues following Dr.
22 Yomtovian's departure from our department, helped define
23 the criteria for the diagnosis of septic transfusion
24 reactions, and differentiation between transfusion
25 reactions associated with contamination versus other

1 causes. I'm going to show some of my key publications
2 during my tenure at Case Western Reserve and multicenter
3 studies. I also want to point out that our institution has
4 been extremely successful. Our studies on platelets have
5 not bankrupted us yet.

6 We started off doing a very simple surveillance
7 method when Dr. Yomtovian first came to me in 1991. We
8 simply take 0.1 ml of a platelet aliquot, 3 to 5 mls is
9 withdrawn from a platelet unit into a small bag or a test
10 tube. We plate 0.1 ml onto a blood agar plate. We
11 incubate this aerobically because we aren't particularly
12 interested in anaerobes at time of transfusion, although
13 there have been some cases, so we may have missed some
14 anaerobes. But in transfusion reactions, we do incubate
15 anaerobically to pick these up, and so far have not had
16 any.

17 If growth is detected -- this is one of the most
18 important parts of our procedure -- we keep the original
19 aliquots so if we get growth, we can go back to that
20 aliquot, re-culture it, and make sure whether we have a
21 true positive or a false positive, and this has also
22 allowed us to quantitate the bacteria over this time
23 period. So, over the last 27 years, for 24 of those years,
24 we have done this procedure on some or all of our platelet
25 products, and that's approximately 150,000 times.

1 Our first paper came out in 1994, bacterial
2 contamination due to increased surveillance. We then
3 published more detail in 1993, prospective microbiologic
4 surveillance program. We then published this more
5 extensively in 2006, evolution of our surveillance methods
6 to detect bacterial contamination. We also write several
7 editorials. I've given you one example, written with Dr.
8 Yomtovian and Dr. Tomasulo and myself, the quandaries in
9 these issues. And I'm very disappointed to see that these
10 same quandaries, if you go back and read this editorial,
11 are the exact same ones we are discussing 11 years later.
12 We have not solved them.

13 The next paper we had was relationship between
14 bacterial load, species, and transfusion reactions. This
15 was a key publication, and I'll show you some of that data.
16 We also, I was the principal investigation of the Verax Pan
17 Genera test, published in 2011, and I'll be showing those
18 tests and the results of the study in more detail. And our
19 most recent paper was the detection of septic reactions
20 based on active versus passive surveillance; again, many
21 speakers have referred to and shown you this data.

22 This is the summary of what we've done since
23 1991. We've cultured 12,700 apheresis units before culture
24 was instituted, and we had 394 contaminants per million.
25 In all the data I'm showing you, I'm using contaminants

1 rates per million, because when you get rates of .02
2 percent versus .025 percent, .03 percent, 0.1 percent, my
3 head starts spinning, and I'm trying to avoid this issue.
4 All my data is presented firstly as contamination rates per
5 mission, and secondly, when I'm showing comparisons, I use
6 95 percent confidence intervals, calculated using the same
7 method. This it much easier to compare data sets.

8 After culture was introduced, we had the same
9 contamination rate for apheresis units. It did not change.
10 This is not to say that culture was not beneficial, and
11 I'll go into some of the benefits. The organisms changed,
12 but the basic contamination rate did not change. This is
13 an extremely important point.

14 However, when we looked at pooled platelets,
15 there was a major, massive improvement. We went from
16 2,400, almost 2,500 contaminants per million pools, before
17 culture was introduced. After this was introduced in 2007,
18 when Acrodose units were used, this went down to less than
19 200. Big improvement.

20 We also were able to look at, because we
21 quantitated all these units, the relationship between
22 bacterial inoculum and what happened to patients. And note
23 that I'm using the term bacterial inoculum, bacterial load.
24 Several speakers up to now have called this bacterial
25 concentration. Bacteria are not in solution. They do not

1 act as solutes, they act as particles, and this is a very
2 important distinction which limits our early testing. And
3 as you can see here, you get most of the severe reactions
4 occur when they're greater than 10^5 organisms per ml.
5 When the load was less than 10^5 , we had 34, only 5 septic
6 reactions. When the load was greater than 10^5 , we had
7 about an equal number, 21 septic reactions, and 11 serious
8 septic reactions.

9 Also, some of this data has been referred to,
10 bacterial contamination of platelets between 1991 and 2013.
11 Detection of septic reactions was much lower by passive
12 surveillance. During the first period we did active
13 surveillance on part of our platelet inventory, about
14 100,000, versus 135,000 where we didn't culture them, and
15 we found sepsis in 16, or 155 per million, when the active
16 surveillance, versus a tenfold lower value when there was
17 passive surveillance. There was one death in each arm, and
18 this was recognized by active and passive surveillance.

19 During the culture period, which is predominantly
20 after 2004, we had 5 cases of septic reactions, a slight
21 decrease from 155 per million to about 100 per million, but
22 the same number of deaths, well, no statistically
23 significant difference.

24 What did this experience teach us? Or certainly,
25 what it taught me. Firstly, that bacterial contamination

1 is real and continues to the present. Additional measures
2 are needed to address this. We've been saying this for
3 more than 10 years. Very little has been done to do this.

4 The second point, active bacterial surveillance
5 by culture of platelets at time of issue is the key to
6 understanding the extent of the problem and the effect of
7 interventions, and I'll be showing you a lot of data to
8 support this.

9 Thirdly, primary culture was effective in
10 removing many of the fastest growing, most virulent
11 bacterial species, but not in reducing contamination rate
12 or eliminating septic reactions and fatalities completely.

13 Fourthly, recognition and reporting of septic
14 reactions is poor. So assessment of the value of
15 interventions based on septic reactions is of limited
16 value, and this refers to many of the presentations you've
17 heard up to date. We've heard interpretations,
18 conclusions, based on septic reaction reports.

19 And the last point, which is a key one, and this
20 was a game changer, was that clinical features of septic
21 reactions changed after introduction of primary culture.
22 They're now frequently delayed, less severe, and more
23 difficult to differentiate from other transfusion
24 reactions. So, again, comparing passively reported septic
25 transfusion rates before and after culture were introduced

1 are greatly affected by this. And again, I'll be showing
2 you the data in support of this.

3 Also, I was very disturbed to see this report,
4 which came out last month. During August of 2017, two
5 separate clusters of platelet transfusion-associated
6 bacterial sepsis were reported. Two patients died in Utah
7 after platelet transfusions from the same donation.
8 *Clostridium perfringens* was isolated from one patient's
9 blood and the other patient's platelet bag, and donor's
10 skin swabs showed highly related organisms. And in
11 California one patient died after a platelet transfusion
12 contaminated with *Klebsiella pneumoniae*.

13 These cases reinforce the need for additional
14 methods to prevent transfusion of bacteria-contaminated
15 platelets, and the occurrence of two more fatal cases of
16 *Clostridium perfringens* provides further evidence that
17 primary culture should include an anaerobic culture bottle,
18 going back to some of the previous discussion we heard.

19 My objectives for this presentation are to show
20 the limitations of septic transfusion fatality reporting,
21 show the limitations of primary culture, show that the
22 proposed increases in primary culture volume do not
23 increase detection rate, show that secondary testing is
24 effective in detecting contaminated platelets missed by
25 primary culture, and show that secondary testing has been

1 proven to be able to interdict contaminated platelets.
2 These are the strategies that the committee was asked to
3 address. My assessment will be based on these strategies.

4 My first objective, review evidence showing
5 limitations of passive surveillance. These are the SHOT
6 criteria used in the UK for septic transfusion reactions.
7 Out of 616 reports of possible septic transfusion
8 reactions, the committee adjudicated that one was definite
9 sepsis. The issue with this is that septic reaction
10 criteria have overly stringent criteria and requires the
11 same bacterial species be cultured from the patient's blood
12 and the component or the donor, and the problem is the
13 committee will adjudicate that this is not a septic
14 reaction if one of those cultures is not done.

15 Bacteremia can be transient, bags may not be
16 available, and blood and product cultures may not have been
17 performed. And this is a direct quote from the SHOT
18 report. Hemovigilance systems for bacteria transfusion
19 transmitted infections are passive, and as such rely on
20 clinical colleagues to report suspected transfusion
21 transmitted infections.

22 This is a compilation of reports of septic
23 transfusion reactions based on active versus passive
24 surveillance, and I am going to show you a number of charts
25 where I'm showing you the rates per million -- again,

1 everything is per million, and the bars indicate the 95
2 percent confidence intervals, and they're all calculated
3 using the same program.

4 As you can see on our apheresis units, by active
5 surveillance, which is by bacterial surveillance, we found
6 roughly 50 septic reactions per million. When we used
7 apheresis or pre-pooled platelets, the rate was the same.
8 At Johns Hopkins early experience before culture found very
9 similar numbers, 40 septic reactions per million,
10 confidence intervals overlapped entirely.

11 When we looked at septic transfusion reactions
12 during the Verax study, and I'll refer back to this before,
13 again, the septic transfusion reaction rate for this active
14 study was no different, and I'll give you more detail on
15 that when I discuss the test.

16 Conversely, if you look at the right-hand side,
17 if you look at all the passive surveillance studies, all of
18 these show septic transfusion rates about tenfold or more
19 lower. Red Cross also showed a difference between reported
20 rates, Amicus being higher than Trima. Kamel, Blood
21 Systems, report 2008 to 2012 versus 2014 to 2016, showed
22 the rate went from 5.3 to 3 per million, but again, this
23 was not statistically significantly different. When you
24 looked at the UK data from Dr. McDonald's data from the
25 National Health Service, no pretesting went from 10 to 15,

1 if you include near misses. After pretesting went from 1
2 to 4, but again the big hook in this is how many of these
3 were missed by passive surveillance and the change in the
4 nature of septic reactions.

5 This is some of the evidence for the change in
6 septic reactions. These are five cases we had that
7 occurred after culture was introduced, and we had five
8 severe septic reactions out of 20 contaminated platelets.
9 None of these five transfusion reactions were reported to
10 the transfusion service, due to lack of recognition, in
11 case 2, or failure to report when delayed reactions
12 occurred in outpatients.

13 This was a major breakdown of our system.
14 Patients were transfused; as our patients went home, then
15 developed transfusion reactions, came back in, and none of
16 the medical personnel treating this patient through the
17 emergency room, back to admission, back to transfer to our
18 hospital, realized that this needed to be reported to the
19 transfusion service. They were diagnosed as transfusion
20 reactions, treated appropriately. The breakdown was it was
21 not reported to the transfusion service, and without our
22 active culture surveillance system, we would never have
23 known that these transfusion reactions occurred.

24 The other issue that we had the opportunity of
25 looking to in this paper was when patients do have

1 transfusion reactions, can you differentiate between septic
2 and non-septic transfusion reactions? And the bottom line
3 is that this distinction is not possible. There's complete
4 overlap between signs and symptoms of septic reactions
5 versus reactions caused due to other reasons, allergic
6 reactions, ABO incompatibility, febrile nonhemolytic
7 transfusion reactions, and so on.

8 The best positive predictive value we could come
9 with using the AABB criteria was 12 percent. These
10 calculations are based on the fact these five transfusion
11 reactions would have been reported to the transfusion;
12 based on the fact that none of them were reported, the
13 actual sensitivity was zero.

14 Also, just to emphasize our active surveillance
15 program, we account for 6 percent of fatalities during the
16 period 2005 to 2016 reported to the FDA, yet we transfuse
17 0.4 percent of the 2 million platelets transfused annually
18 in the United States. Again, emphasizing the difference
19 between reported and actual reactions, both septic and
20 fatal.

21 This brings up the main point that I want to
22 bring out, that this is a very simple issue. You have a
23 bag of platelets and plasma, you're infusing it into
24 patients. If you want to know whether this is sterile,
25 culture it. That's what we do for all nonblood products.

1 It's a very simple procedure, it's a very inexpensive
2 procedure, and it gives you absolute data.

3 This is, to me, the gold standard for determining
4 bacterial culture rates, is culture at time of use or
5 outdate. The utility of interventions can be absolutely
6 documented by such cultures. Other parameters, such as
7 reported septic transfusion and mortality rates, result in
8 rates being greatly underreported. Transfusion reactions
9 cannot be accurately classified without appropriate
10 cultures, as clinical features of septic and other
11 reactions overlap.

12 My next objection is to show evidence that the
13 U.S. primary culture alone is not adequate to interdict a
14 sufficient proportion of bacterially contaminated
15 platelets. We're all aware of this, but the point I'm
16 going to make here is that variation in media, volumes, and
17 time of testing makes very little difference.

18 These are all the studies, and I'm going to skip
19 to the next slide to show the summary of these. You can
20 see that all of these studies show apheresis contaminants
21 missed by primary culture are independent of volume, time
22 of testing, or test method. These all show overlapping 95
23 percent confidence intervals, 3 to 20 ml per collection
24 were used for primary culture.

1 Now, this slide, to me, is one of the key
2 cultures, so please keep your slide deck open at this when
3 you have the printout, when you're referring to some of the
4 other slides that I'm going to show, because I'm going to
5 refer back to this slide. This shows a number of studies,
6 because when we first started doing cultures, we were told
7 that our site was unique. Well, we now have eight studies
8 showing exactly the same thing. Our site is not unique.
9 Our platelets are representative of the U.S. platelet
10 supply.

11 The second point is, if you want to know how many
12 platelets are contaminated, culture at time of use or
13 outdate gives you this information. This was shown in the
14 PASSPORT study. Four out of 6,000 platelets, the apheresis
15 platelets with a higher volume, and 7-day out were shown to
16 be contaminated. I've adjusted this down to two, because
17 two of them were repeat positive, two of them showed
18 different organisms, so it's possible that their rate was
19 lower, but it's between 300 and 600 per million
20 contaminated. And this was the reason that the PASSPORT
21 study failed. This was the criterion set by the PASSPORT
22 study and FDA mandate, that the contamination rate should
23 be lower than this.

1 The next study is the Irish data published by
2 Murphy, 2008, you've seen that. Plate culture results
3 showing, again, 400 per million.

4 The next three studies that I've highlighted in
5 red are intervention studies. These show how many were
6 picked up by intervention. Again, the next principle that
7 I want to introduce is that if you have an intervention
8 study, that your detection rate is comparable to the missed
9 cultures by primary culture, you have shown that that
10 intervention is successful. And I can show you three
11 interventions where this intervention was absolutely
12 successful. We have absolute data; the detection rate was
13 comparable to the missed rate.

14 The first one was the PGD test, where we found 9
15 out of 27,000 units were positive. Several of the previous
16 speakers have referred to what's been missed by the study,
17 leaving out what was detected by the study, and to me, the
18 detection is extremely important. This has saved patients
19 getting these 9 contaminated units. I'm going to show you
20 more detail of that shortly.

21 The next study was presented in abstract form in
22 2015 at ISBT by Ladenheim, and this was experienced in
23 Wisconsin over a seven- or eight-year period, where they
24 did PCR on 85,000 platelet units. And guess what, they

1 found 300 contaminated platelet units by PCR, and they were
2 able to interdict these.

3 Finally, on the extreme right, is the study that
4 Dr. Bloch presented. This is the data Dr. Ness presented
5 at AABB last year. He found 5 out of 17,000 platelet units
6 were positive, a rate of roughly 300 per million, and he
7 was able to interdict these. Dr. Bloch has given an update
8 on these. The second last study was a study done in Canada
9 reported by Sandra Ramirez using after-day-5 cultures.
10 Seven out of 8,000 platelet units were found to be
11 contaminated, again, missed by primary culture. So, I
12 think there's no question that primary culture misses
13 roughly 400 contaminated units per million, and that we can
14 detect these with an adequate intervention system.

15 My next objective to look at the minimal
16 proportional sampling volume and large volume delayed
17 sampling are not equivalent to the current U.S. primary
18 culture testing plus a secondary test, to interdict
19 bacterially contaminated platelets. This is the key issue.
20 Both speakers before me showed you the evidence they have
21 that these methods are equivalent to primary and secondary
22 testing.

23 If you look at what I call the gold standard, if
24 you look at contamination rate per million, when they did
25 culture outdated units, Vassallo presented this data and

1 noted himself that this was no different from the failed
2 PASSPORT study. His contamination rate, depending on
3 whether you use absolute proven or indeterminate results,
4 were between 370 and 476 per million.

5 In McDonald's study, there's two issues with the
6 data that Dr. McDonald presented from the National Health
7 Service. One is he's showing you combined data of buffy
8 coat pools, which are not used in the United States, and
9 apheresis platelets. And during his early study, the ratio
10 of buffy coat pools to apheresis was 2 to 1. During the
11 time that they did culture the ratio was the reverse, 1 to
12 2. And we need Dr. McDonald to provide data, to do two
13 things to their data.

14 One is, clearly differentiate, as Dr. Field did
15 for the Irish study, between culture rates between
16 apheresis and buffy coat pools, because in the United
17 States we're only interested in the apheresis units -- we
18 don't use buffy coat pools. And the second thing is to
19 exclude anaerobes, mainly to exclude *P. acnes*, because
20 those are of no clinical significance. Because the *P.*
21 *acnes* does not grow, as was pointed out, in the aerobic
22 platelet culture bag. If there are a few *P. acnes* in the
23 bag, they just sit there until the unit expires, and don't
24 cause any patient harm.

1 The rate that Dr. McDonald showed was 1 out of
2 6,000 platelets was contaminated. This is a very low
3 number, and this is a very easy problem to solve. The UK
4 has treated 2 million platelets. If that cost 20 pounds
5 per platelet test, and Dr. McDonald can comment on whether
6 that's a reasonable number or not, you spend 40 million
7 pounds on this. Spend another million pounds on culturing
8 your platelets to find out if your method actually works.

9 When you plot this data using low-volume versus
10 these high-volume cultures, you can see that on these
11 numbers that we have, these high-volume cultures have not
12 been shown to successfully reduce the rate that we need.
13 There is no statistically significant difference, and I
14 believe that with the UK data, that when this is broken
15 down and just shows apheresis units, that this difference
16 will be even less. I think most of the difference seen in
17 the UK was improvements in buffy coat, similar to the
18 improvement we saw in the United States when we pooled
19 single donor platelets.

20 Again, just to emphasize, there's no
21 statistically significant difference between the minimum
22 proportional volume and the large-volume delayed sampling
23 and current primary culture methods.

24 When you look at the septic transfusion reactions
25 are comparable after the introduction of primary culture in

1 the United States and UK. This was introduced in 2004 in
2 the United States and 2011 by the National Health Service.
3 By passive surveillance, septic reactions reported in the
4 United States decreased by 72 percent to the Red Cross, and
5 the National Health Service by 67 percent.

6 While septic reactions are notoriously
7 underreported even the UK, the drops are comparable. Since
8 the comparisons are within an organization, the percent
9 decreases are important and are comparable, suggesting no
10 advantage of the higher volume and later test time used by
11 NHSBT. And I'm quoting Dr. Benjamin's publication and his
12 comments at the last BPAC.

13 Going back to the high false negative rate of
14 outdated culture. Outdate cultures in the minimum
15 proportional sampling study done by BSI were done during
16 the early phase of the 3.8 percent study. Eight thousand
17 outdated units were culture and they showed either three or
18 six contaminated units. Three confirmed and three
19 indeterminate.

20 These data are not included in Dr. Kamel's
21 publication, which was published in 2017, but predate the
22 published data. This was, however, presented in abstract
23 form. My question to this group is why was this not
24 published? Why did BSI continue this program after they
25 definitively showed that it did not work? At the BPAC

1 meeting in November last year, Dr. Vassallo compared these
2 findings with the PASSPORT study, which had 300 to 600 per
3 million, and noted that his result of 370 to 746 per
4 million were not significantly different from the failed
5 PASSPORT study, and again, he said the same thing today.
6 This method should therefore be deemed unacceptable as
7 well.

8 Data presented at the November BPAC showed a
9 large decline in culture rates, however, as I mentioned
10 before, data includes both apheresis and buffy coat pools,
11 as well as anaerobes. The number of cultures performed
12 after introduction is low, just over 6,000, considering 2
13 million platelets have been transfused with one positive in
14 an apheresis unit. Much larger numbers as well as
15 differentiation between apheresis and buffy coat pools are
16 needed to provide a true indication of the efficacy of the
17 large-volume delayed sampling method for each product.
18 It's possible this method is superior but it needs to be
19 shown. It has not been shown up to now.

20 Recent large-volume delayed sampling primary
21 testing detection rate was no different from the rate
22 rejected in the 2016 draft guidance. Dr. McDonald gave us
23 an update today showing that their primary detection rate
24 is .02 percent, or 200 per million. This was rejected by
25 the draft guidance in 2016. Their subsequent reports

1 showed their contamination rate is exactly the same. It
2 has not improved.

3 To try and come up with an explanation, primary
4 culture misses contaminated doses for two reasons. One is
5 the bacteria are not in solution. They are biologic
6 organisms. They may be clustered along the wall of the
7 bag, they may be stuck on a skin tag that gets into the
8 bag, and bacteria decide when and where they're going to
9 multiply at their own rate in the liquid phase.

10 In the simulation you can see here I've drawn a
11 dotted line at roughly 1 CFU per ml. This is the limit of
12 detection of taking an aliquot of 5 to 10 mls out of the
13 bag into Bact/ALERT bottle. And you can see, if you look
14 at day 1, you're going to miss many of the contaminated
15 platelets. They haven't got to one CFU per ml.

16 If you go to day 2 you start picking up the
17 faster growing organisms. By day 3, you're picking up most
18 of the organisms within the simulation, and if you're not
19 picking up an organism by day 3, chances are it's going to
20 be very slow-growing and present in very low numbers even
21 by the time the platelet unit outdates. This simulation
22 coincides very well with the data Dr. Bloch presented where
23 they're doing day 3 cultures, and showing a detection rate
24 comparable to the detection rate we're finding at time of
25 use or outdate.

1 The second reason is that primary culture
2 sampling during lag phase results in sampling error and
3 false negative results. The bacteria may be sitting in a
4 clump on the port or anywhere in the plastic, so that no
5 matter how much of the contents you take out, it simply is
6 not going to contain any bacteria. When the bacteria do
7 get into the liquid phase and start multiplying, again,
8 it's a numbers game, and the later you go and the more
9 sensitive your method is, the greater your chance of
10 detecting them.

11 My next objective is to show that rapid testing
12 on the day of transfusion has been proven to be an
13 effective means to interdict bacterially contaminated
14 platelets. Two FDA-cleared methods are available, Verax
15 platelet PGD test and the Immunetics BacTx test. They are
16 able to interdict clinically significant levels of
17 contamination on the day of transfusion, and they detect
18 bacteria after they've grown in order to avoid sampling
19 issues.

20 The Verax test, PGD test, is a single use
21 qualitative test for the detection of aerobic and anaerobic
22 gram positive and gram negative bacteria. It detects the
23 presence of conserved bacteria surface-wall antigens,
24 lipoteichoic acid, and lipopolysaccharide, using specific

1 antibodies. And the analytic sensitivity is between 10^4
2 and 10^5 CFU per ml for common bacterial contaminants.

3 The effectiveness of this method was studied in a
4 multicenter study over two years, 18 U.S. hospitals. These
5 were testing primary cultured negative apheresis platelets
6 within 24 hours of transfusion with the PGD test, and units
7 that were reactive were cultured. The study was done 2009
8 to 2010, published 2011.

9 What we found was that 9 contaminated units were
10 found out of 27,000, 326 per million, and I've shown you in
11 one of the previous slides that I asked you to make a note
12 of, number 27, that this is comparable to other detection
13 systems, as well as to what primary culture is missing,
14 showing that this was an extremely successful study.
15 Probably one of the most successful interdiction studies
16 ever studied. The Hopkins experience and the PCR
17 experience in Wisconsin were equally successful.

18 What was interesting as that this picked up four
19 contaminants on day 3 platelets, two on day 4, three on day
20 5, for a total of nine. Six of them were coag negative
21 staph, two of them were bacillus, and one was Enterococcus
22 faecalis. Remember, again, these are on platelets that
23 have already been tested by primary culture. This is
24 secondary testing. The false positive rate was .5 percent,
25 and I'll refer back to that false positive rate.

1 This study has been very unfairly presented, both
2 by the agency FDA in their summary report and by several of
3 the speakers before me. To quote from the summary report,
4 based on one definite septic reaction and the possible
5 septic reaction, both were false negative rapid test
6 results, the septic transfusion reaction rate associated
7 with the use of secondary testing rapid test on the day of
8 transfusion following a negative primary culture is
9 determined to be 1 in 27,000 to 1 in 13,000.

10 This comment caused a very negative view of the
11 study, which was one of the most successful studies ever
12 performed to interdict contaminated platelets released as
13 negative after primary culture, detecting out of 9 out of
14 27,326 per million, and I'll be commenting further on the
15 septic reactions.

16 In the study, 12 primary culture false negative
17 doses were found amongst 27,000 apheresis platelets. So
18 finding 9 out of 12 gives you a 75 percent detection rate.
19 That's a lot better detecting 75 percent than detecting 0
20 percent by doing nothing.

21 Second point is that if you have a look, they
22 confirmed the positive detected were, as I said, six coag
23 negative staphs, two bacillus, one enterococcus, but if you
24 look at the PGD negative test, the first one was
25 Streptococcus oralis, was negative, and this patient was

1 transfused and had a septic reaction. This was a
2 Streptococcus oralis. The PGD test at that time did not
3 include reagents to detect strep oralis. This has now been
4 added to the test.

5 There are three major antigenic groups of
6 viridans streps. At the time the test was developed, two
7 of them were included. Since finding this false negative,
8 this has now been added to the test. So several speakers
9 who present this as a false negative fail to point this
10 out.

11 The second one that was missed was a coag
12 negative staph at 400 CFU per ml, a level way below the
13 level of detection of the test, and I'd expect would be
14 positive, and also three logs below the bacterial load
15 that's going to cause a transfusion reaction. So yes, it
16 was a false negative, but it was of no clinical
17 significance.

18 The final one also has caused a lot of confusion.
19 This was a Streptococcus sanguinis, the PGD test was
20 negative, patient had a reaction. The unit was cultured
21 and gram stained, blood culture was done on the patient,
22 the patient's blood culture was negative. Culture showed
23 Streptococcus sanguinis. This was done in liquid culture
24 medium. So unfortunately we have no quantitation, and gram
25 stain was done, was found to be negative, was repeated and

1 found to have very rare organisms, and the most likely
2 explanation is that this was just below the level of the
3 test. Nevertheless, it was missed. Whether this caused a
4 septic reaction or allergic reaction really doesn't affect
5 the basic conclusions for this, and this has been very
6 misrepresented both by the agency and several previous
7 speakers.

8 So if you look at this, the Verax test picked up
9 9 out of 12, 75 percent of contaminated units. One that
10 was missed has now been addressed by adding this reagent.
11 The other two were probably below the level of detection.
12 One did not cause a transfusion reaction. One may have
13 caused a transfusion reaction. This is much better than,
14 A, doing nothing and, B, several of the other methods that
15 have been proposed.

16 So my conclusion about secondary testing is that
17 the PGD study is one of the most successful bacterial
18 interdiction studies ever performed, and I stand by that
19 claim. These tests provide rapid results before
20 transfusion to prevent the transfusion of contaminated
21 doses. It's been proven to prevent transfusion of
22 contaminated platelets. The 1 in 3,000 detection rate, 326
23 per million, and a culture negative apheresis inventory,
24 and this detection is in addition to what the contamination
25 was detected by primary culture.

1 I mentioned also that there was a .5 percent
2 false positive rate in the study. This issue has been
3 addressed by development of the second generation PGD test
4 called the PGDprime. It's a simplified sample processing;
5 no centrifugation is required. It has two additional
6 capture lines for increased breadth of reactivity. It has
7 improved specificity, fragmented antibodies, where the Fc
8 fragment removed, to eliminate most false positive
9 reactions.

10 Out of almost 2,500 platelet doses tested in in-
11 house studies, no false positives have been found. So
12 development of this product is complete and it's currently
13 in validation studies, but it's still under development and
14 has not been cleared by the FDA.

15 Switching to the second test, this is the BacTx
16 assay. It's a rapid qualitative assay for detection of
17 aerobic and anaerobic gram positive and gram negative
18 bacteria in apheresis and pre-pooled, whole-blood derived
19 platelets, the same as the PGD test. It has a different
20 principle. It's based on peptidoglycan detection, using a
21 chemical reaction that has a kit, which again requires
22 similar steps to the PGD test, but its reading is in an
23 analyzer after you do the reagent preparation. The tubes
24 are put in the tube, this then incubates and shakes them
25 over a 20-minute period, and reads the color reaction

1 automatically and gives you a positive versus a negative
2 printout.

3 The analytic sensitivity has been determined in
4 leukocyte-reduced apheresis and whole-blood derived
5 platelet pools at two sites, and the lowest bacterial titer
6 detected by 10 out of 10 replicate tests was taken as the
7 limit of detection, and you can see here limits of
8 detection for most organisms is between 10^3 and 10^4 CFU
9 per ml, with little difference between platelet type.

10 It's now cleared for use with pre-storage pools,
11 and its indications for use are as a quality control test
12 in leukocyte-reduced apheresis platelets and pools of up to
13 six leukocyte-reduced whole-blood derived platelets, and
14 increased storage pools of up to six platelets.

15 This shows some of the detection in pooled
16 platelets of the organisms that were inoculated in low
17 inocula between 1 and 4 CFU per ml in platelet bags. It
18 was detected for the organisms shown in green 7 out of the
19 10 at 48 hours, the remaining ones found at 72 hours, and
20 all of them were also detected at 7 days.

21 So key points about rapid testing. Rapid testing
22 has been proven to interdict contaminated platelets.
23 Passive surveillance is inadequate and does not establish a
24 residual risk of bacterial contamination of platelets,

1 particularly due to the nature of change in septic
2 reactions following introduction of primary culture.

3 The large volume delayed sampling UK protocol has
4 not been demonstrated to provide comparable safety to the
5 current U.S. primary culture protocol followed by secondary
6 test, and the minimum proportion Blood Systems protocol has
7 similarly also not been demonstrated to provide comparable
8 safety to primary testing plus a secondary test.

9 So going back to the issues that the committee
10 has been asked to address, I've tried to make my
11 presentation as evidence-based as possible and show you
12 data in the same format so that you can easily compare
13 them. So the first issue was primary culture followed by
14 secondary rapid testing within 24 hours prior to
15 transfusion. This is highly successful, detected 9 out of
16 97,000, 95 percent confidence interval is shown, and I've
17 published this and I've shown you details of the study, and
18 I'm showing you this as green. I agree with that.

19 The second one was primary culture followed by
20 secondary culture on day 3, as presented by Dr. Bloch.
21 This was highly successful, detected 217 per million, again
22 95 percent confidence interval, overlapped our known
23 rens(?) that we're trying to detect. Caveat, culture at
24 time of use data would be helpful in knowing whether we had

1 missed any in the study, and I encourage the Hopkins group
2 to try to do this.

3 The next method is the minimum proportion
4 sampling volume. Contamination rate at outdate was high,
5 showing failure of this method, 3 to 6 out of 8,000, and
6 I'm showing you the data on that, and to me, this -- I'm
7 not sure why BSI continued using this method after they'd
8 proven it did not work.

9 The next issue is pathogen reduction technology.
10 I've shown you this in yellow as caution. Contamination
11 rates at time of use or outdate have not been studied, and
12 I think I would encourage the pathogen inactivation group
13 to study this so that you can prove absolutely that you
14 have sterilized your platelet units.

15 As far as 7-day platelets are concerned, primary
16 culture followed by secondary rapid testing within 24
17 hours. Based on the highly successful detection study on
18 day 5 platelets, with detection as early as day 3, this
19 method is obviously valid and has FDA approval for use.

20 Primary culture followed by secondary culture on
21 day 4, the Irish method, the Irish transfusion service
22 approach was highly successful. Day 4 culture interdicted
23 5 out of 51,000, 95 percent confidence interval is shown,
24 with no contamination in 2,000 units. Caveat,

1 contamination rate on day 4 was lower than in other
2 studies.

3 Finally, the large volume delayed sampling
4 contamination rate at outdate is high, showing failure of
5 this method. However, caveat there is that very few
6 outdated platelets have been studied, 6,000 out of 2
7 million. That's possible that with much larger numbers, if
8 you get to 60,000, and you're still only 1, then the
9 conclusion about this will change. But at the moment, this
10 is the only data we have to work from.

11 My final slide is conclusion, opinion versus
12 facts. Daniel Patrick Moynihan, who was a New York senator
13 1977 to 2001, quoted, everyone is entitled to his own
14 opinion, but not to his own facts.

15 He obviously was a Democrat.

16 (Laughter.)

17 Fact number one, changes to primary culture
18 volumes and times have not improved detection rates. If
19 there was strong evidence that it did, why are we holding
20 this advisory committee meeting? Second fact, secondary
21 testing by a variety of methods has been proven to detect
22 contaminated units missed by primary testing and
23 considerably improve the safety of platelet transfusion,
24 and FDA has given safety measure labels to two of these
25 methods.

1 Fact, the efficacy of an intervention to decrease
2 bacterial contamination is best documented by culture of
3 products at time of transfusion. This is very easy and
4 inexpensive.

5 Opinion, statistical parameters for determining
6 the success of an intervention should be set so that the
7 value of an intervention can be determined. I've shown you
8 the statistical parameters. The guideline needs to consist
9 of one paragraph. Do whatever intervention you want,
10 culture your platelets at time of use, and show that you
11 have either decreased your contamination rate to below the
12 95 percent confidence interval of the studies that have not
13 had your intervention, or come up with an intervention that
14 shows comparable detection to what's missed by primary
15 detection.

16 Thank you for your attention.

17 DR. ALLEN: Thank you, Dr. Jacobs. That was a lot
18 of data in 45 minutes. A lot to think about, as we have
19 gotten from all of our other speakers.

20 We will open -- the panel is open for questions
21 and comments to Dr. Jacobs on his presentation.

22 DR. LEITMAN: Just a simple question. What do you
23 estimate the cost of the rapid test that your facility
24 uses? I think we heard \$25 to \$35 per test, but it's used,
25 maybe used more than once, of course, because it's used

1 within 24 hours of release. So both the cost of the
2 materials and the labor? Do you have that?

3 DR. JACOBS: The cost of the test itself is, both
4 the PGD test and the BacTx test, the test itself depending
5 on volume is \$25 to \$30. If, again, it's a question of
6 timing, because that test of that unit is not used that
7 day, then you'd have to test at the next day. So a lot
8 depends on your volume and your outdate, and how you
9 stagger your inventory, for example; if you get in a batch
10 of 50 platelet units, you may want to test 25 straightaway
11 and then the other 25 the next day. But again, depends on
12 your use.

13 DR. ALVING: Barbara Alving. Who performs the
14 test? Do you train blood bank personnel? I would assume
15 it would have to be someone available 24/7 or how do you
16 work that?

17 DR. JACOBS: The test is designed to be done by
18 blood bank personnel. This presents a lot of logistic
19 issues, particularly with a lot of hospitals do not --
20 blood bank personnel do not manage the platelet inventory.
21 It's managed by the blood supplier, and that puts a
22 logistic issue into this, but most hospitals have their own
23 blood transfusion service and they do the testing.

24 DR. ALLEN: All right, if there are no other
25 questions or comments, thank you very much, Dr. Jacobs.

1 I will just add the comment to Senator Moynihan's
2 quotation, a bumper sticker I saw here when visiting D.C. a
3 few years ago was don't believe everything you think.

4 (Laughter.)

5 Seems to me the two quotes go very well together.

6 Our last speaker for this morning is Dr. Richard
7 Benjamin, Cerus Corporation, pathogen reduction technology
8 strategy.

9 **Agenda Item: Pathogen Reduction Technology**

10 **Strategy**

11 DR. BENJAMIN: Good afternoon, Mr. Chairman and
12 the committee. Thank you very much for the opportunity to
13 address the committee on this important issue. I
14 apologize; by now you should be used to a South African
15 accent, but I'm think I'm the fourth South African ex-South
16 African speaking today. I won't apologize for my accent.

17 My disclosures. I am an employer/employee and
18 stockholder in Cerus Corporation, a maker of pathogen
19 inactivation technologies.

20 Why don't I start off with my conclusions? The
21 one thing I'd like the committee to take home is that no
22 level of bacterial contamination of a blood product should
23 be considered safe. Secondly, strongly encourage the FDA
24 to finalize the bacterial guidance in order to improve
25 patient safety. Those are the two most important points.

1 To my knowledge, the FDA has not set an
2 acceptable level of bacterial contamination of any
3 bacterial product, has not set a safe level of bacterial
4 contamination. Indeed, I believe no patient deserves to
5 get any bacteria in a blood product, and if you ask them, I
6 think they would be surprised to hear that blood products
7 are not required to be sterile. I think we have an
8 opportunity to begin to address those issues here.

9 Second major point, I'm glad Dr. Jacobs brought
10 up the MMWR report, because it brings up the fact that
11 patients continue to develop sepsis from contaminated
12 platelets. Since the 2012 BPAC was held, at least 13
13 patients have died, and many of those would probably have
14 been avoidable with any of the technologies that we have
15 discussed here today, including pathogen inactivation. So
16 please, move forward. There's an urgent need for this
17 final guidance to give direction to blood centers and
18 hospitals so we can help to protect patients.

19 This MMWR report actually is interesting for more
20 reasons than. It describes two apheresis platelet
21 collections that gave rise to eight blood products: six
22 platelets and two plasma products. Those two collections
23 managed to kill three patients, two clostridia, one
24 Klebsiella, kill another fourth patient that was actually
25 ascribed as unrelated simply because the patient already

1 had Klebsiella sepsis, was on antibiotics for Klebsiella,
2 died within five hours of transfusion, and the Klebsiella
3 species they pulled out of the patient was the patient's
4 own Klebsiella and not the one in the bag. So almost
5 certainly this was a fourth death, had the patient already
6 not been dying.

7 They salvaged a bag out of the trash that was
8 outdated that was contaminated. That two plasma units
9 which were frozen, which they cultured and were negative,
10 but what they didn't do was remember that today plasma is
11 frequently thawed and held for five days at 4 to 6 degrees
12 in emergency rooms and may be transfused five days later.
13 They didn't do that, and we don't know whether after five
14 days at 4 degrees these products would have been safe or
15 not.

16 Take-home message. There is an increasing risk
17 to patients today, because of the move to increase split
18 units in blood centers. Over the last 10 years, splitting
19 is increasing tremendously. We have two collections, four
20 deaths, eight components.

21 Subsequent to this publication, I am aware in the
22 United States this year, and we're only halfway through the
23 year, of another three collections that have given rise to
24 seven components, seven septic transfusion reactions,
25 including three deaths. So the issue is economic pressures

1 are driving splitting of units, when a unit is
2 contaminated, they are killing more patients. We are
3 seeing increased risk. We need final guidance from the
4 FDA.

5 The other important point of this MMWR is the
6 clostridium. When I was at the American Red Cross as chief
7 medical officer, we reported, Dr. Anne Eder reported, two
8 units we discovered at the bedside that were contaminated
9 with clostridium. At that time, the Red Cross did not move
10 to add anaerobic testing. Now we have two deaths. It's
11 time anaerobic testing was put in place. It has to happen.
12 It's been too long.

13 Now, let's get on to my topic. The INTERCEPT
14 blood system. It's a pathogen reduction that offers a
15 broad safety measure to reduce the risk of sepsis. It also
16 helps with enveloped and unenveloped viruses, protozoa,
17 babesia, malaria. Transfusion-associated graft versus host
18 disease. It can replace CMV testing. It can replace
19 bacterial culture screening. Can replace Zika testing and
20 provide an extra layer of safety for platelet products.

21 I will talk briefly about some data showing that
22 they are effective at treating and preventing hemorrhage
23 and can be implemented broadly with little impact on
24 platelet use when used in routine practice.

1 To remind you that the INTERCEPT blood system for
2 platelets is the only FDA approved system for platelets,
3 that it's gone through the rigorous FDA requirements of
4 proving safety and efficacy, that it's based on amotosalen
5 and UVA light that's targeted to nucleic acids, through
6 crosslinking and preventing replication of DNA can prevent
7 bacterial or protozoal or viral replication and leukocytes
8 too; the bag system in front of you also shows that for
9 many products, we can treat and then split products into
10 multiple units, therefore decreasing the cost per unit to
11 the patient.

12 There is extensive hemovigilance data, which I
13 will go through. It is effective at inactivating bacteria.
14 Not only at the time, if you contaminate a unit, but you
15 can then take that unit to outdate and contrary to what Dr.
16 Jacobs said, we in fact do routinely take them out to
17 outdate when we contaminate them and show that they are
18 persistently negative on culture at day 7 or day 5, when
19 you do in vitro inoculation steps. They are functionally
20 sterile.

21 Most important, that INTERCEPT process allows
22 release of platelets much earlier than bacterial culture,
23 especially much earlier than delayed large volume culture
24 systems.

1 So let's think about the problem again. We
2 believe that most platelet products on collection are in
3 fact sterile. A proportion, probably a minority, but we
4 don't really know, contain a small number of bacteria.
5 Probably less than 10 units, colony forming units, or even
6 clumps. Probably 1 or 2 at most.

7 Way beyond concentration that can be detected by
8 culture. One CFU per 100 mls, you'd have to culture the
9 whole bag. We hold the product and during storage a
10 proportion of these start growing, and those that grow
11 rapidly can reach higher concentrations. Those that grow
12 slowly or have a long lag phase reach lower concentrations.
13 The problem is there's always a small group that just sit
14 there in prolonged lag phase, and that's your time bomb,
15 because at any point in time during the life of that
16 platelet, they can decide to start growing.

17 So what we have learned about point of issue
18 testing is that you can detect the highest concentrations
19 of bacteria, but you leave behind lower concentrations, and
20 therefore you have to perform it as soon as, as close to
21 transfusion as possible. Many patients are still getting
22 contaminated units.

23 With delayed large volume culture, you can detect
24 a larger proportion of the contaminated units. But again,
25 you have the sitting time bomb here that if you have too

1 long a gap between your culture sample and your
2 transfusion, a product can become -- get higher levels of
3 bacteria.

4 Pathogen inactivation as used according to
5 manufacturer's instructions, the ability to address all of
6 these bacteria, plus give you protection against viruses,
7 protozoa, and leukocytes.

8 So we have heard a number of different
9 combinations today, and many of them, the clinical
10 experience has been very limited. They have not been
11 subjected to large national hemovigilance programs, and the
12 reporting is doubtful or iffy at best. There are some
13 exceptions. The delayed large volume culture systems in
14 the United Kingdom, as reported by the SHOT system, and
15 pathogen inactivation as reported by hemovigilance systems
16 in Belgium, Switzerland, and France. I would like to
17 briefly review some of these data.

18 The SHOT program, Dr. McDonald spoke about the
19 English experience. Well, SHOT covers the English
20 experience. It also covers the Northern Irish experience,
21 who also use delayed large volume culture. Slightly
22 differently, but it is delayed. It's actually delayed
23 more. It's also large volume.

24 As a small contribution from the Scottish blood
25 systems and a small chunk of northern Wales, that they are

1 very small, so when you look at SHOT and we summarized five
2 years of experience after delayed large volume culture was
3 in place, and published that between 2011 and 2016, at that
4 point in time it was 1.65 million distributed platelet
5 products. That's 624 suspected septic transfusion
6 reactions reported to SHOT. That's one in every 2,500 had
7 a report that was investigated.

8 The vast majority of those were excluded or
9 deemed unlikely. There was one septic transfusion
10 reaction, in which case the same bacteria was found in the
11 patient, in the bag, and in the donor. There was one
12 possible septic reaction, where the same bacteria was found
13 in the patient, and in the bag. Was deemed possible. No
14 real explanation why it was only a possible.

15 There were at least 12 indeterminates where there
16 was missing data, either didn't have a patient culture or a
17 bag culture. They are lumped together as indeterminate.

18 There were eight near misses. Now, near misses
19 are really important. Near misses are you have a closed
20 bag that's full of bugs. There's only one way the bugs
21 could have gotten into that bag, and that's at the time of
22 collection. The moment you spike a bag to transfuse a
23 patient, you have the possibility of having introduced
24 bacteria at the time of spiking. There are many case
25 reports of that happening. So these near misses are

1 important. They were clearly contaminated and are part of
2 the potential risk to patients.

3 So if you add up definite septic reaction and the
4 eight near misses, you come up actually to a number of less
5 than 1 in 200,000, which is not that different to the U.S.
6 risk today of 1 in 100,000, and clearly as Dr. Jacobs
7 pointed out, not statistically different.

8 What about pathogen inactivation? Well,
9 worldwide over 2.5 million INTERCEPT platelets have been
10 transfused, most of them not in a defined hemovigilance
11 program, but the company has not received any fatality
12 reports worldwide with platelets made with INTERCEPT.

13 We also have had no near misses where a bag has
14 been discovered with bacteria in it and the bag is still
15 intact. There have, as Dr. Vassallo pointed out, been at
16 least three what we deem as possible contaminations.
17 That's using the SHOT definitions.

18 In each case, the bag integrity had already been
19 broken by spiking the bags that sat around for a long
20 period of time, and there was opportunity for retrograde
21 contamination through the spiking. We have been very
22 aggressive about publishing those cases so that you can
23 make up your own mind. So the one case in Belgium and the
24 one case in Switzerland, was published in Transfusion last
25 year, and one case in the United States, we will move to

1 publish as soon as possible so that you can make up your
2 own mind.

3 Having said that, there's no cases of definite
4 sepsis or probable sepsis been reported in France,
5 Switzerland, and Belgium who do have very good
6 hemovigilance programs in place, during the same periods
7 when multiple patients that were not receiving INTERCEPT
8 platelets did report sepsis and did report fatalities. So
9 during the same periods on 3.3 conventional platelets had
10 75 septic reactions and 13 deaths, and those parts of the
11 countries that were using INTERCEPT, there were none. So
12 we do believe that this is strongly supportive of the
13 efficacy around bacterial sepsis related to INTERCEPT
14 system.

15 What about efficacy? Cerus has a published a
16 number of phase III clinical trials showing the safety and
17 efficacy of INTERCEPT platelets. Some of the largest
18 clinical trials in this area ever, including the SPRINT
19 study. It was interesting very recently that a group of
20 French investigators published the EFFIPAP study, which was
21 independent of the company, Comparison of Hemostatic
22 Efficacy of Pathogen-Reduced Platelets vs Untreated
23 Platelets in Patients With Thrombocytopenia and Malignant
24 Hematologic Diseases.

1 This study concluded that the hemostatic efficacy
2 of INTERCEPT PAS platelets in thrombocytopenic patients
3 with hematological malignancies was not inferior to control
4 PAS platelets. The second part of the conclusion was that
5 noninferiority was not achieved when comparing INTERCEPT
6 PAS platelets with control plasma platelets. Mindful of
7 these data, in November of last year the French blood
8 system, EFS, decided to move to 100 percent adoption of the
9 INTERCEPT blood system for 330,000 platelets per year.

10 Which draws attention to the second part of this
11 conclusion. Noninferiority was not achieved. Interesting
12 comment. They are not saying that something was inferior.
13 They are just saying that noninferiority was not achieved.
14 So it's worth looking at what that means.

15 What it means is that this the study had
16 limitations. The study was powered around an 80 percent
17 ability to prove noninferiority with 810 patients. But
18 they only recruited 790 patients, and they were basically
19 underpowered to prove noninferiority. Which means you
20 should look at the data. What did they actually show?

21 The primary endpoint was bleeding of grade 2 or
22 higher in these patients, and they found 47.9 percent of
23 INTERCEPT PAS platelet patients, 45.3 of control PAS, and
24 43.5 control plasma patients, had this endpoint. They did

1 not provide a statistical analysis of the difference
2 between those numbers.

3 If you do a post hoc analysis, what you find,
4 actually there's no statistical significance between those
5 numbers. The groups are too small. So they did not prove
6 noninferiority, but they did not prove there was a
7 difference either.

8 That's great, and they also went on to look at
9 really clinically significant, meaning a grade 3 and grade
10 4. There they did show statistics. No difference. Days
11 of bleeding, no difference. So we actually believe this is
12 really supportive data around bleeding and the INTERCEPT
13 system.

14 What about platelet usage? They found in the
15 three arms that there was no statistical difference in the
16 total platelet dose in these patients, or in the total red
17 cell dose given to these patients, despite the fact that
18 there was very meaningful differences in the CCIs. The
19 CCIs were definitely lower with INTERCEPT platelets, but
20 this had little or no effect on platelet usage in these
21 patients, which is essentially what the experience has been
22 with every hospital that has published around their
23 experience of introducing INTERCEPT, and I will show you
24 one hospital, a large academic hospital, in Innsbruck,
25 Austria, who looked at 23 months before introduction and 23

1 months after introduction of INTERCEPT, some 8,000 platelet
2 transfusions and about 1,800 patients, and showed
3 essentially no difference in heme-onc patients, cardiac
4 surgery patients, pediatric or neonatal patients, in
5 platelet or red cell use, before or after introduction of
6 INTERCEPT.

7 When they looked at their massively transfused
8 patients, and they had 300 of those, defining massively
9 transfused as greater than 10 units of red cells and at
10 least one platelet within 24 hours, there was no difference
11 in blood usage, and in fact, no difference in mortality,
12 and for those that survived, for length of stay. So
13 basically proven to be safe and efficacious.

14 So we've heard some question today whether
15 pathogen inactivation is ready for primetime in the United
16 States. Our experience has been that in many countries in
17 Europe, they have been able to turn a light switch. So
18 Switzerland, Belgium, France, have over a short period of
19 time been able to go to universal pathogen inactivation.

20 In the United States, what we hear is that our
21 tight INTERCEPT guard bands means my split rate will
22 decrease, causing my center to lose product and revenue.
23 Or, I can only supply a percentage of PRT product to my
24 clients. Those are common comments we get. And our guard
25 bands are a problem.

1 In order to use our system, you have to have a
2 platelet of a certain volume, dose, and concentration.
3 That is a constraint. We have been working with our blood
4 centers, who when they first look at the inventories,
5 realized, depending on the split rates, that they can only
6 treat a proportion of their products. We have moved to
7 helping them by either splitting triples into double and
8 single or volume adjusting and then to collect, optimize
9 their collections, what we found that centers with a lower
10 split rate, many hospital self-collectors, can get to 100
11 percent with our current system.

12 Large centers can also get up to at least 75
13 percent, and there are some very large centers now in the
14 United States that are doing this. Some of the major blood
15 centers are now up to 70, 75 percent compatibility with our
16 system, with maintaining the split rate. If you are
17 prepared to compromise the split rate, you can get to 100
18 percent, but it does mean in a large center, you may have
19 to compromise that 2.0 split rate.

20 So what's the way forward here? So what's the
21 difference between Europe and the United States? In both
22 sets of circumstances, we can treat Amicus platelets in
23 PAS, Trima platelets in plasma, and we can do single and
24 double unit treatments.

1 In Europe today, you can also do these other
2 combinations of Amicus and Trima. You can do whole blood
3 platelets and buffy coats. They have 7-day storage in many
4 countries. They have a triple set. There's a CE mark
5 triple set in use or available in Europe today. They also
6 use, by the way, a lower platelet dose. The actual
7 required dose of platelets is not 3.0 times 10^{11} . It's 2
8 to 2.5, which does help.

9 Because their systems are usually run as national
10 systems where there's coherence between regulatory and
11 safety decisions and funding decisions, they can put in
12 place safety regulations and pay for them and move to 100
13 percent in one easy move.

14 In the United States, it's a little more
15 complicated. We are working very hard with the agency to
16 bring to the U.S. market these other combinations of
17 products, to bring triple bags, to bring Trima in PAS and
18 Amicus in plasma. These things will be very important to
19 getting to 100 percent. We are working on 7-day platelets
20 using the INTERCEPT system.

21 Today, and also I just have to draw attention to
22 the fact that the dose of 3.0 times 10^{11} platelets in the
23 United States was perfectly arbitrarily set and is higher
24 than any other country in the world and also -- the United
25 States is a country that uses most platelets per capita and

1 has the highest dose per capita, which puts a strain on our
2 donors, puts a strain on the availability of platelets, and
3 also constrains INTERCEPT somewhat.

4 Today in the United States, the regulatory
5 decisions and the funding decisions are not coherent.
6 Blood centers and hospitals have to make decisions based on
7 their environment, which is a competitive environment, and
8 in the absence of guidance, economics has to play a part.
9 Centers and hospitals cannot make decisions that make no
10 economic sense to them if their competitors don't have to
11 do it.

12 So without final guidance, I do not believe that
13 we will be able to move forward to protect patients with
14 our technology or any of the other technologies that have
15 been discussed today, because they all have costs. So
16 final guidance is the most important thing you can do today
17 is to encourage the agency.

18 To summarize, no amount of bacteria in platelets
19 should ever be considered acceptable or safe. We have to
20 move to minimizing that risk to patients. The INTERCEPT
21 pathogen reduction treatment system provides risk reduction
22 against bacteria, viruses, and protozoa, can replace gamma
23 irradiation, does not lead to excess use of platelets in
24 routine practice. Treatment platelets may be released into
25 inventory one to two days earlier than with delayed large

1 volume bacterial culture, allowing the use of fresher
2 platelets.

3 Broad implementation is feasible, and final
4 guidance is required and critical to provide clarity to
5 hospitals in order to implement effective interventions to
6 reduce exposure to contaminated platelets.

7 Thank you, Mr. Chairman.

8 DR. ALLEN: Thank you, Dr. Benjamin.

9 Questions from the panel or comments for Dr.
10 Benjamin?

11 Yes, Dr. Kaufman?

12 DR. KAUFMAN: Richard Kaufman, Boston. So you had
13 a couple of slides towards the end talking about sort of
14 differences between the United States and Europe, and I
15 just wanted to explore that a little further. New York
16 Blood Center published an opinion piece suggesting that
17 they could pathogen-reduce maybe 30 percent of their
18 inventory, whereas Switzerland apparently pathogen-reduces
19 all, and I was just wondering if you could speak
20 specifically about how do the Swiss do it?

21 DR. BENJAMIN: Thank you for that question, Dr.
22 Kaufman. I appreciate it. It would have been nice if that
23 publication by the New York Blood Center had input, more
24 input, from us. We could have assisted them to get to the
25 75 percent where larger blood centers producing more

1 platelets today than they do are at least 75 percent today.
2 So I have to contest that number that was published; just
3 because it's published doesn't necessarily mean I agree
4 with it.

5 Places like -- Switzerland is a relatively small
6 country. Let's talk about France, because France is
7 330,000 platelets, and I think it's in the range of 15 or
8 17 blood centers. I can't remember the exact number. But
9 it is more comparable to here. You do need to adapt your
10 collection process to the safety system and not expect the
11 safety system to necessarily adapt to your economic
12 reality. So it does come down to your priorities.

13 What is more important? France had a history of
14 18 years of more than 50 septic reactions and about 10
15 fatalities, and they decided that safety needed to be acted
16 on. So that I think becomes the difference. What is your
17 priorities and what -- you can argue that it might be
18 reimbursement, but what is your priority here?

19 DR. SCHREIBER: Marty Schreiber from Oregon Health
20 and Science University. We heard about rapid testing
21 strategies from Dr. Jacobs. In light of the fact that only
22 a very small percentage of the platelets are contaminated,
23 what are your thoughts about combining these ideas? You --
24 do the rapid test. If it's positive, then do pathogen
25 reduction, but not do broad pathogen reduction on a very

1 high percentage of the platelets that actually don't need
2 it.

3 DR. BENJAMIN: That's an interesting concept.
4 There are physical reasons why it's not practical, but
5 there are also better reasons why we shouldn't do it.

6 Pathogen inactivation is not just about bacteria.
7 Pathogen inactivation is providing a broad cover against
8 Zika, Ebola, breakthrough cases of HIV, HPV, HCV, CMV,
9 babesia, malaria, and all the other risks we don't yet know
10 about. So that's probably the real reason to do pathogen
11 inactivation. Sepsis is an important reason.

12 The practical reason you can't do that is that
13 point of issue testing only works many days after
14 collection, whereas pathogen inactivation needs to be done
15 within 24 hours of collection to be effective. So you
16 don't have that luxury of testing first. And also, testing
17 has -- what you don't -- what the test misses, you will
18 never know until you get a septic reaction.

19 DR. ALVING: Barbara Alving. I have two
20 questions. Let's say that tomorrow it was mandated that
21 pathogen inactivation occur throughout the United States.
22 What is the capabilities of your organization to really
23 take care of this mandate? The second question would be
24 since there's so many public health personnel here, have

1 you penetrated the Latin American, South American, even
2 Miami markets?

3 DR. BENJAMIN: The short answer is yes and yes.
4 Having said that, can we supply the U.S. market? The
5 process here, even if optimized, requires a draft guidance,
6 comment period, a final guidance. I believe by the time,
7 even if we expedite the guidance discussions with the FDA,
8 that we can be ready in that time period. Nothing's a
9 light switch overnight, but in the 12- to 18- to 24-month
10 period, yes, because we need to plan for expansion of the
11 production to meet that demand. We would love to take that
12 challenge.

13 We are, on the other hand, the second part of the
14 question, we have blood centers in Brazil that are using
15 INTERCEPT today, and they have great interest because
16 that's where Zika came from, that's where chikungunya came
17 from, and that's where yellow fever is going to come from,
18 because they have outbreaks today and that's almost
19 certainly going to get into the Caribbean and three and a
20 half Americans in Puerto Rico that are at high risk today.

21 DR. CALIENDO: Angie Caliendo, Brown. Do you have
22 any data that this pathogen reduction works out to day 7?
23 It's not approved for that, but have you accumulated data
24 along the way?

1 DR. BENJAMIN: So let's talk about two types of
2 data. Today, 7-day platelets with INTERCEPT are in regular
3 use in Switzerland, and I believe in France now, too. So
4 yes. It's in clinical use today in Europe.

5 If you are talking about the in vitro
6 functionality of the platelets, we are working with the FDA
7 on finalizing a protocol to allow us to do the recovery and
8 survival studies to meet the U.S. standards. In terms of
9 bacterial culture, there's extensive work published by
10 others and by the company now, showing that if you
11 inactivate within 24 hours, if you spike platelets with
12 concentrations of bacteria, quite substantial
13 concentrations, treat them at 24 hours, you can take them
14 out to day 7 and re-culture, and they'll be functionally
15 sterile, as long as you do the PI treatment within the
16 package insert descriptions.

17 DR. LEITMAN: Every time I see this data, I'm
18 struck by the fact that when the CCI, corrected count
19 increment post-transfusion platelet CCI, goes down by 40
20 percent from 8.2 to 5, there's still not an increase in the
21 number of platelets given to those recipients or the number
22 of transfusions total, 22 times 10^{11} . A slightly cynical
23 interpretation might be that since the majority of platelet
24 transfusions are given for prophylactic purposes, the
25 majority of platelet transfusions given both in Europe and

1 the United States are not clinically necessary. They are
2 given to treat a number that wouldn't be associated with
3 clinical bleeding.

4 So whether one goes from 12,000 to 17,000 post-
5 transfusion or 12,000 to 22,000 doesn't particularly make a
6 difference to the likelihood of having a clinically
7 significant bleed. So when you're talking about setting a
8 minimum platelet dose at 2 to 2.5 versus 3.0, and I think
9 of the patient who has a platelet count of 3,000, I don't
10 think three units of platelets is sufficient.

11 So it's clinical judgment, but I don't think one
12 can make a blanket statement like that. The thing that we
13 have to educate our users on again is the appropriate
14 prophylactic use of platelet transfusions.

15 DR. BENJAMIN: May I respond to that? I think
16 that's a very interesting comment. It does speak to how
17 transfusion physicians use platelets and what they actually
18 monitor, whether they are treating bleeding or a number, a
19 CCI. I will point out to you that there's a difference
20 here in Europe where countries use lower doses and use way
21 fewer platelets than we do. The United States uses 7
22 platelet units per 1,000. Most of Europe is in the 4
23 range, and some are less.

24 Not only are they using lower doses, but they are
25 using them less frequently, and I don't believe there's any

1 indication that they are treating their patients any less
2 well than we are. So that does raise the issue in the
3 United States whether our current dose of 3.0 and our
4 current systems of prophylactic use is overusing platelets.
5 That's quite possible. It's an indictment of the United
6 States where other systems can use lower doses less
7 frequently and have the same outcomes. So I have to agree
8 with you.

9 DR. ALLEN: I think those are important points. I
10 am not sure that the panel is prepared to address them
11 fully today. But they certainly are issues that do deserve
12 continued discussion and research and evaluation.

13 Other questions or comments? Dr. Stramer?

14 DR. STRAMER: I am going to add while this slide
15 is up, I think it's really important to address the
16 differences that occur in the United States and outside of
17 the United States. So I think if our expectation is 100
18 percent PI platelets, mean a lot of things will have to
19 change within the regulatory framework to make some of
20 these things more widely available, such as triples, the
21 use of collection devices with multiple -- whether it's
22 additive solutions or plasma -- I mean, we're going to have
23 to be more flexible to be able to allow more widespread use
24 if that's what's indicated.

1 DR. ALLEN: Certainly I think that in our
2 discussion session it is fair to raise these issues as ones
3 that need to be considered.

4 Did you want to comment in any way?

5 DR. BENJAMIN: No, you know, we've worked very
6 well with the agency and FDA to move forward with the
7 agenda of enriching what's available to blood centers, and
8 we are optimistic that we'll get past the current impasse
9 and make these things available in the short term.

10 DR. ALLEN: Thank you very much for your
11 presentation and discussion, Dr. Benjamin.

12 It's 12:45. On time for the scheduled lunch
13 break. I want to first of all thank all of the presenters
14 this morning. You did a wonderful job of staying on time
15 and responding to the questions, and thank the panel. I
16 think we had a very fruitful morning.

17 Committee members, during lunch break, once
18 again, please do not discuss the meeting topic during lunch
19 amongst ourselves, or with any member of the audience. We
20 will reconvene in this room one hour from now. I would
21 really like to have everybody back at 1:45 so we can start
22 at 1:45. Panel members, please do return on time. Audience
23 members, please remember to take any personal belongings
24 with you at this time.

25 Any further?

1 MR. EMERY: I was just going to say panel members
2 that have paid for your lunches, the lunches have been
3 brought to a room directly behind us, and if you need help
4 getting there, I can direct you there. Thank you.

5 (Luncheon recess.)

6

AFTERNOON SESSION1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25**Agenda Item: Open Public Hearing**

DR. ALLEN: The committee will come back to order.

We will proceed with the open public hearing portion of the meeting, during which public attendees are given an opportunity to address the panel, to present data, information, or views relevant to the meeting agenda. I will now read the open public hearing disclosure process statement.

This is an open public hearing announcement about particular matters of general applicability. Welcome to the open public hearing session. If you wish to speak, please state your name and your affiliation, if relevant to this meeting. Both the Food and Drug Administration and the public believe in a transparent process for information gathering and decision-making. To ensure such transparency at the open public hearing session of the advisory committee meetings, FDA believes that it is important to understand the context of an individual's presentation.

For this reason, FDA encourages you, the open public hearing speaker, as you begin, to state if you have any financial interest relevant to this meeting, such as a financial relationship with any company or group that may be affected by the topic of this meeting. If you do not have any such interests, also, FDA encourages you to state

1 that for the record. If you choose not to address this
2 issue of financial relationships at the beginning of your
3 statement, it will not preclude you from speaking, and you
4 may still give your comments.

5 For the record, we have received, as of the
6 beginning of this meeting this morning we had five formal
7 requests, and we have a few more, to speak for today's
8 meeting. Each scheduled speaker will be given five minutes
9 to address the panel. We ask that you speak clearly to
10 allow the transcriptionist to provide an accurate
11 transcription of the proceedings of this meeting.

12 The panel appreciates that each speaker remains
13 cognizant of their speaking time, and we have had to
14 restrict it a bit. Some of you may have been told you
15 would have a few more minutes. It's because of the number
16 of people that we have who have come forward and wish to
17 address the meeting, and the length of time that we have
18 available to us. Also, if you have a written statement you
19 may certainly give it to Joanne Lipkind at the table
20 outside, and it will be made available, not necessarily
21 this afternoon.

22 Our first speaker is Dr. Ed Snyder, with Yale
23 Transfusion Service, and he will present some data.

24 DR. SNYDER: Thank you very much. My name is Ed
25 Snyder. I'm from Yale University, and I want to talk about

1 how we integrated pathogen-reduced platelets into Yale
2 University. I am PI on the phase IV PIPER study for Cerus,
3 and also the phase III ReCePI study. I have no personal
4 remuneration, I have no stock, I've not any relation with
5 the company. All monies have gone through Yale contracts.

6 Yale-New Haven Hospital has about 1,600 beds. We
7 treat about 10,000 patients, with about 40,000 blood
8 products. Over the past year, too, we've increased our
9 single-donor products, and decreased the number of pooled
10 random donor platelets dramatically. Most of the single-
11 donor products are now pathogen-reduced, as we will see.

12 The way we integrated, we started with deciding
13 to move forward internally, and then contacted almost the
14 entire institutional org chart so that everyone was aware
15 of how we were going to move to 100 percent pathogen-
16 reduced platelets, and all the way down to the C suite
17 individuals.

18 We did this because the bags look different. As
19 you can see, the conventional bag versus the psoralen-
20 treated bag has a different configuration, the outlet ports
21 are different, the labels are different, and if we didn't
22 do this, there would be all kinds of confusion that we
23 eliminated by training everyone well in advance.

24 We decided that all demographics within the
25 institution would receive pathogen-reduced products. We

1 were not going to give them specifically. We weren't quite
2 sure which was considered to be the better product, so we
3 considered they would be equivalent. So everyone at Yale-
4 New Haven hospital gets pathogen-reduced products as
5 necessary. Jehovah's Witnesses, of course, for religious
6 beliefs, this doesn't affect them.

7 This shows the platelet usage at Yale, starting
8 from October 2016, going to May of this year, and as you
9 can see, the red line is the pathogen-reduced. The green
10 line at the top is the total platelet usage, and the blue
11 is PL5 and others, non-pathogen-reduced single-donor.
12 We're at about 80 percent. And the reason we're not at 100
13 percent, we believe, is probably because our supplier, the
14 Red Cross, has not yet received the BLA, and the guard
15 bands are a concern, and also triples are not available as
16 well. So, we're at about 80 percent.

17 The way we treated dual inventory is we
18 considered, and we went through the ethics committee and
19 discussed it extensively, we consider pathogen-reduced
20 platelets to be essentially equivalent to conventional
21 product plus a safety measure done on day 4 and 5. And
22 that's why we used them interchangeably, we don't specially
23 give one group one product or another. They're considered
24 equivalent, and as we decrease the number of conventional,
25 we're going more toward the pathogen-reduced.

1 These are the metrics that we have looked at. We
2 looked at transfusion reactions between November 2016, when
3 we started, to December 2017. On the left side is the
4 number of type of reaction. The conventional there were
5 almost 4,000 products, versus 2,700. These were not
6 paired, these were all comers evaluated, for all age
7 groups. You can see, on the right side, is the ages that
8 are listed.

9 The bottom line is that there were 46 reactions
10 with the conventional, and 18 with the pathogen-reduced,
11 which is 1.16 and .66 respectively. Most of the drop in
12 reactions was due to the decrease in allergic reactions and
13 febrile, which we believe is probably due to the removal of
14 about 65 ml of plasma from the PR, and replaced with the
15 PAS-C.

16 We looked at our neonates. Again, all comers.
17 Neonates, specifically, that received phototherapy and PR
18 platelets. The wavelength of concern is 375 nanometers.
19 Our device, and most of the devices in the Western world
20 are well above that. We're at 458. There were no reported
21 rashes by any of the neonatologists taking care of these
22 infants. We're now up to about 40 children, and there have
23 been no reports of rashes there, either.

24 We looked at the transfusion-associated GVH,
25 because we do not irradiate INTERCEPT products. This was

1 the group, which was our part of the PIPER study. These
2 are chemotherapy patients, some being transplanted, some
3 not. There were conventional products. There were 161
4 patients, none of them was GVHD reported, they were all
5 irradiated, and we had 159 INTERCEPT receipt, no
6 transfusion-associated GVH without irradiation, in this
7 very susceptible population.

8 Again, these are small numbers, but that's where
9 we are today. We then looked at the efficacy -- these are
10 the components. This was, again, part of the PIPER study,
11 our part of it. How many platelets were given -- 161
12 conventional platelets, 3.65 platelet units were given to
13 patients who were enrolled, over the roughly the month
14 period they were on the study. The INTERCEPT arm was 3.19
15 for the mean number of red cell units, which we took as a
16 surrogate for whether the patients continued to bleed, 1.8
17 units versus 1.12. We did not consider these to be
18 significant, but this, again, is part of an ongoing PIPER
19 study. This is just our snapshot of what it is.

20 Very quickly, the top part are neonates, or
21 children 4 months to 18 years, in yellow. The bottom, the
22 pink, is 0 to 4 month, conventional versus PR. These are
23 all comers. We looked at those children who received
24 conventional platelets that were 4 months to 18 years,
25 there were 175 ml during the period of time, which was

1 November to January 2018, 175 versus 115, which was about a
2 .05 level, and the number of transfusions -- this was mls
3 per patient, and these were the number of transfusions for
4 these children -- 1.3 versus 1.6, not significant, and
5 looking at the 0 to 4 month level, 84 versus 80 mls, 1.4
6 versus 1.8, and these numbers were not significant, as
7 well, as seen over on the side.

8 And the last slide, we decided to go for early
9 adoption because our concern was not just for bacteria, but
10 for babesia and Zika and diseases endemic in Connecticut,
11 and those other areas we thought bacteria alone was not the
12 concern. We obtained approval from everyone. We have had
13 several near misses, including a very recent one. We have
14 had a death, as well, from platelets. We educated everyone
15 from the top down.

16 This is a global integration, it wasn't something
17 that is just done quickly. We established a dual standard
18 of care for all service lines until we got full PR. Our
19 auditing, I just went over the data, and as far as
20 financial considerations, it is about \$200 more than a
21 pooled random donor, because it's \$100 more, roughly, for
22 the single donor, and another \$100 for pathogen reduction.
23 But the hospital believes that safety eclipses costs. We
24 believe that it's an improvement in safety for our
25 patients.

1 Thank you, Mr. Chairman.

2 DR. ALLEN: Thank you for the information. Any
3 burning questions? If not, we'll move on. Thank you.

4 Dr. Michael Petrini, representing Terumo PCT.

5 DR. MARSCHNER: Michael Petrini submitted the
6 slides. I am Susanne Marschner with Terumo PCT. I am an
7 employee of Terumo PCT. I will be presenting you some data
8 on minimal proportional sample volume and large-volume
9 sampling that depends on the apheresis device the products
10 are collected on.

11 We'll go through the definitions of those
12 sampling strategies, the key message, and then also the
13 data for septic transfusion reactions based on collection
14 device. We heard some of this already this morning. There
15 are differences that are significant between the Amicus and
16 the Trima devices.

17 Also, I have a slide about the cost and the
18 result of the mitigation strategies in the conclusions.

19 Minimal proportional sampling volume means that
20 at least 3.8 percent of the total platelet collection
21 volume is taken, 24 hours to 26 hours post-collection.
22 Versus large-volume delayed sampling, is a sample of 16 ml
23 per split platelet product, that is taken more than 36
24 hours post-collection.

1 To improve the safety of platelet transfusions,
2 approval of minimal proportional sample volume, large-
3 volume delayed sampling, and other bacterial contamination
4 mitigation strategies must use an evidence-based standard.
5 For example, clinical evidence, which includes data on
6 specific apheresis collection devices.

7 Septic transfusion reaction data that has been
8 published over the last few years includes data on the
9 Amicus and the Trima device, data from the American Red
10 Cross, published by Eder et al., showed an overall
11 transfusion reaction rate of 13 per million. However, 17
12 per million is the rate that was observed with Amicus.
13 This is using a standard-volume sampling technique.

14 This compares to 4 in a million reactions with a
15 Trima device. In supplemental analysis in regions that
16 used only Trima or Amicus devices, these reaction rates
17 were 18 per million for the Amicus device, and 2 per
18 million for the Trima device. Again, this is with a
19 standard-volume sampling strategy.

20 BSI, and this is what we heard this morning,
21 switched from standard volume sampling to the minimal
22 proportional sampling volume, and did not observe a
23 significant decrease in the transfusion reactions. It was
24 5 in a million before the switch to the minimal
25 proportional sample volume, and 6 in a million afterwards.

1 At NHSBT, the rate of septic transfusion reactions also
2 with a Trima Accel device, is less than 1 in a million.
3 There were no transfusion reactions with products collected
4 on the Trima platform, and this is published by Carl
5 McDonald in Transfusion.

6 This data supports that minimal proportional
7 sample volume and large volume delayed sampling on Trima
8 Accel is the only supported device. Data for Amicus needs
9 to still be generated in support of these sampling
10 techniques.

11 The cost associated with these strategies, large-
12 volume sampling and minimal proportional sampling, has no
13 additional cost for the hospital. Point-of-issue testing
14 in comparison what we heard this morning, also, is a \$25 to
15 \$30 premium, and pathogen reduction has an about \$100
16 premium per product, as we have heard these septic
17 transfusion reactions with Trima are 2 in a million, and
18 with large-volume sampling there have been no reports of
19 any septic transfusion reactions with the Trima device.

20 In conclusion, there are statistically
21 significant differences in septic transfusion reactions for
22 the two primary apheresis collection devices. The rates
23 were significantly lower with a Trima Accel device. Data
24 supporting the use of minimal proportional sample volume
25 and large-volume delayed sampling were all collected on the

1 Trima Accel device. Data on the Amicus device has not been
2 generated, or not published at this point.

3 The FDA's recommendations of minimal proportional
4 sample volume and large-volume delayed sampling must use an
5 evidence-based standard, and take into consideration the
6 impact of the Trima Accel apheresis system on bacterial
7 contamination.

8 Thank you.

9 DR. ALLEN: Thank you for your presentation. Our
10 next speaker is Dr. Nancy Dunbar, from the Geisel School of
11 Medicine, Dartmouth College.

12 DR. DUNBAR: Thank you. Good afternoon, my name
13 is Nancy Dunbar, and I'm the medical director for the blood
14 bank at Dartmouth-Hitchcock Medical Center in Lebanon, New
15 Hampshire. I'm here today to share our transfusion service
16 perspective on the use of rapid testing to enhance the
17 safety of apheresis platelet transfusion and routinely
18 extend that shelf life to seven days. I will also share my
19 personal perspective on the large-volume delayed sampling
20 approach, a potential alternative for 7-day outdating.

21 I disclose that I serve on the scientific
22 advisory board for Verax Biomedical, which financially
23 supported my presence here today.

24 Dartmouth-Hitchcock Medical Center is an FDA-
25 registered facility that supports a 400-bed academic

1 tertiary care medical center, which includes a level 1
2 trauma center, neonatal, intensive care unit, and
3 hematopoietic stem cell transplant program.

4 We transfuse approximately 2,500 apheresis
5 platelets annually. Our hospital-based donor center
6 provides almost 40 percent of our apheresis platelet
7 inventory, while the rest is provided by three external
8 blood suppliers. Due to our rural location, our closest
9 external supplier is approximately 100 miles away from our
10 hospital. This means that during times of unanticipated
11 demand that lead to unexpected platelet shortages, it can
12 take three to four hours to fill our urgent ad hoc orders
13 for more platelets, assuming more platelets are even
14 available. This means that patients at risk for bleeding
15 due to thrombocytopenia, particularly our hematology-
16 oncology patients, may experience delays in necessary
17 transfusions as they wait for ad hoc orders to arrive.

18 Dartmouth-Hitchcock was one of the first
19 hospitals to introduce pre-storage bacterial culture
20 platelets starting in May 1999 and most likely the first to
21 enhance the safety of platelet transfusion by performing
22 additional rapid testing on previously cultured platelets
23 starting in July 2008.

24 From February 2016, we've used rapid testing to
25 extend the shelf life of all apheresis platelet units from

1 all of our blood suppliers to seven days as described in
2 the current draft guidance. We've shared our experience
3 using rapid testing to enhance platelet safety in a
4 manuscript published electronically in Transfusion on April
5 17, 2018. From July 2008 through November 2017, we
6 performed rapid testing on over 9,000 apheresis platelets.
7 We've not identified any true positive results, nor have we
8 seen any units with initial negative rapid test results
9 that subsequently converted to a true positive on repeat
10 testing. We have observed a low false positive rate of
11 less than 1 percent, as reported by others using this
12 method.

13 Since implementation of pre-storage culture of
14 platelets in 1999, we have not identified a septic
15 transfusion reaction at our institution. We also recently
16 reported changes observed in platelet transfusion practice
17 and inventory management, one year after routine
18 implementation of 7-day platelets, compared to the year
19 before implementation, in another manuscript published in
20 Transfusion in April 2018.

21 Since implementation of routine use of 7-day
22 platelets, we have not observed any change in hospital
23 length-of-stay, or in the mean number of platelet
24 transfusions per patient transfused, compared to the year
25 prior to implementation of 7-day platelets. Our platelet

1 outdate rate has decreased significantly, from 5 percent to
2 2 percent, which offsets the cost associated with
3 performing rapid testing.

4 Additionally, ad hoc ordering, which is generally
5 a reflection of urgent need for platelets at our hospital,
6 significantly from 21 percent to 9 percent. As mentioned
7 previously, ad hoc orders reflect urgent need for platelets
8 during times of unanticipated increased platelet
9 utilization, when our regular standing order and delivery
10 schedule is not able to meet the needs of our patients.
11 Given the distance from our blood suppliers, the situations
12 requiring ad hoc ordering can be stressful and delay
13 necessary patient care, as platelets take several hours to
14 arrive.

15 Thus the use of rapid testing to extend the
16 platelet outdate to day 7 has allowed our transfusion
17 service to better tolerate fluctuations in platelet supply
18 and demand, and better meet the needs of our patients.
19 Although alternative options exist for enhancing apheresis
20 platelet transfusion safety, such as pathogen inactivation,
21 which currently only allows for 5-day outdate, our
22 institution has chosen rapid testing, due to the ability to
23 extend platelet outdating to seven days and increase
24 availability for our patients.

1 Alternative options for 7-day storage of
2 apheresis platelets, such as large-volume delayed sampling,
3 are currently under consideration. I remain unconvinced
4 that large-volume delayed sampling provides increased or
5 even equivalent safety, when compared to our current
6 approach of primary culture followed by secondary testing
7 later in the shelf life of the unit.

8 Further, large-volume delayed sampling approach
9 would require a larger sample volume taken at 36 to 48
10 hours after collection, with a product hold of six to 12
11 hours after sampling, before distribution to the hospital
12 transfusion service. Thus, it could effectively reduce the
13 time for platelet availability in our inventory by 12 to 24
14 hours, or up to half the time we gained by implementing 7-
15 day outdating using rapid testing.

16 Our hospital transfusion service will continue to
17 perform rapid testing to enhance platelet safety and
18 availability, until other equal or better methods that
19 allow for 7-day outdating are available and feasible.

20 Thank you.

21 DR. ALLEN: Thank you for your presentation,
22 information. The next speaker is Dr. Peyton Metzler. I
23 don't have an organization there. If you would please give
24 us any affiliation.

1 DR. METZEL: Peyton Metzels, retired. Up until a
2 month ago, successfully. I spent about 22, 23 years with
3 Baxter, with the Fenwal division, and then as Fenwal
4 incorporated, the spinoff from Baxter Healthcare. During
5 that time I had the opportunity of working on what we
6 called at the time pathogen inactivation, which is now of
7 course the Cerus INTERCEPT program. I worked on PASSPORT,
8 which has been mentioned a couple of times. I worked on
9 platelet additive solutions. And it's exciting for me to
10 come back and see that there's as many people standing as
11 there were when I left five-and-a-half years ago.

12 Before I get too far into the presentation, I'd
13 like to personally apologize to Dr. Snyder for some
14 comments I made four years ago. I apologize.

15 Five years ago, and we can do the math, three or
16 four people every year died as a result of contaminated
17 platelets. That's minimally, 20 people, in those five
18 years. I would suggest that if it were CJD, or HIV, the
19 chairs would be filled. I think Mo Blackman made that same
20 comment in the paper that was reviewed in 2013. So, for me
21 to be gone for five years, and to find out that I'm even
22 close to being relevant, is shocking. But also kind of
23 disappointing that we are still in the place that we are.

24 We've looked at several options today and heard
25 people passionately speak about those options. I don't

1 have any slides, but during your discussion, if you might
2 draw a timeline of the platelet starting at day 0 and going
3 through day 5 or day 7 and look at these different methods
4 of what their interventions are, what time are samples
5 taken? Twenty-four hours, 36 hours. How much volume is
6 taken? Eight mls, 12 mls, up to 25 ml. And statistically,
7 how much do those volumes really make a difference in terms
8 of detection?

9 And more importantly, when you look at secondary
10 methods, whether it be culture at day 3 or day 4, as we
11 heard from Hopkins, or the intervention using a rapid point
12 of issue, those, in fact, represent additional platelet
13 units that are detected. So, we have a day 1 that, for
14 example, might detect 200 per million -- and I'll pull this
15 right out of PASSPORT -- and then subsequently, a day 8
16 platelet was 500, 600 per million.

17 So that indicated that that primary method for
18 culture really represented 25 percent of the total
19 platelets contaminated. So, is there a way that we can
20 move with a secondary method, whether it be culture or
21 point of issue, to close in on those number of platelets
22 that actually get released and transfused?

23 I found it really interesting in Dr. Jacobs's
24 presentation that passive surveillance can also miss
25 deaths, which I was shocked. If you look at his data, he

1 accounted for 0.6 percent of the platelets, or 0.4 percent
2 of the platelets transfused, yet 6 percent of the platelet-
3 related deaths. So, that would indicate that sometimes
4 with the delayed scenario of these platelet reactions,
5 don't get associated with the platelet itself. It gets
6 associated with something else. I think that's a slide
7 that the committee might revisit.

8 I come back to, like I said, the PASSPORT
9 scenario, where we ran about 200 per million in the initial
10 culture, and then day 8 culture, about 500 to 600 per
11 million more, in addition. And it would be my hope that
12 with the intervention of either culture, at day 4, 5, for a
13 5-day platelet, or a point-of-issue test at day 4 or 5, 6
14 or 7, would reduce that number and hence reduce deaths.

15 As kind of a personal aside, several years ago I
16 lost a very close friend. He received platelet
17 transfusions and early on in his treatment he died of
18 unknown causes, and I spoke with his family and what they
19 described to me appeared to be one of those rare deaths
20 that occurs, and it's not until a personal friend or family
21 member that's receiving a platelet that you look up there
22 and you wonder if an intervention such as a culture, or in
23 the case of INTERCEPT platelets, which also would be
24 effective in eliminating that bacterial risk, would have
25 made a difference, and that's just one life.

1 Thank you.

2 DR. ALLEN: Thank you for your comments.

3 Our next speaker is Dr. Mark Brecher, emeritus
4 UNC.

5 DR. BRECHER: Thank you. Like Dr. Jacobs, I have
6 historically lots of conflicts, which may mean I have no
7 conflicts. But I wanted to take 30 seconds to address the
8 question of anaerobic bottles. It was my laboratory that
9 did the initial validation work with the BacT/ALERT. Back
10 then it was Organon Teknika, the 3Ds, and in our initial
11 experiments we chose to include Clostridium perfringens,
12 because we had known that in the literature there were
13 cases of Clostridium perfringens deaths.

14 The second thing is, contrary to what the
15 committee has been told today, the formulations of the
16 broth in the two bottles, while they're similar, they are
17 different. So, that's one possible explanation why you see
18 a difference.

19 The third thing is, we observed, through many
20 iterations of inoculation experiments, faster growth with
21 streptococcus, and in some cases, staph species, with the
22 anaerobic bottle.

23 The final point I wanted to make, quickly with
24 that, was that the plastic bags -- yes, they do breathe,
25 Susan, but they breathe very slowly. So that the

1 environment in a platelet is not really an aerobic
2 environment and it's not really an anaerobic environment.
3 It's something in between.

4 Looking at FDA data from before the era of
5 bacterial detection, was about six cases, six deaths per
6 year, and the majority of the deaths were from gram-
7 negative organisms.

8 Looking at studies from the United States, United
9 Kingdom, and France, and sort of pulling all the data
10 together during that period, that gram-negatives accounted
11 for 82 percent of the reported deaths. So, gram-negatives
12 are the really bad actors, and the risk of a gram-negative
13 death with gram-negative sepsis was 45 percent, in this
14 aggregated data. But a gram-positive was only 10 percent.
15 And the early culture method is very good with gram-
16 negative detection.

17 How good is it? Do we have success or failure?
18 It's already been said.

19 Red Cross data. There was a 75 percent drop in
20 septic reactions reported. If you look at -- this is a
21 slide I got from Anne Eder a couple of years ago -- if you
22 look at fatalities, Red Cross data, there's been about a 80
23 percent reduction in fatalities, with all the interventions
24 we've made over the years.

1 FDA data being reported for apheresis platelets.
2 We went from about eight deaths per year down to about one
3 per year, now. That's an 87.5 percent reduction in
4 fatalities. So, we've been very successful. Not to say we
5 can't do better, but I think we have to acknowledge how
6 well we have done.

7 Some gram-negative cases do continue to slip
8 through. This is just a couple cases, there's some
9 Morqanella, two deaths, with Walter Reed and the NIH. Some
10 E. coli death out of Kansas City. You could say that these
11 were operational issues, human error. Some gram-negative
12 cases do continue to slip through. But the same thing
13 could be said for pathogen reduction. Where humans are
14 involved, errors will happen.

15 I think an interesting point that we had not
16 talked about here -- actually, there's two. Let me just
17 back up for a second. I meant to say that with all these
18 bacteria in the bag, in the old days we used to talk about
19 why can't we just add antibiotics to the bags? Small
20 amounts. I'm disappointed to hear that that hasn't come
21 back up again. That was 25 years ago. It would be a
22 simple quick fix.

23 But the other question that I wanted to address
24 is are older platelets less safe than younger platelets?
25 We've already seen many slides about slow-growing bacteria

1 increasing concentration over time. We've also alluded to
2 the step graphs where, with the day of storage, you start
3 to see more cases of sepsis and fatalities. However, these
4 are not true rate slides. We really don't have a
5 denominator. We don't know how many platelets were
6 transfused on day 5. How many were transfused on day 4.
7 So, we don't have a true rate.

8 Recently, in Transfusion, there was a study from
9 Denmark, Kreuger et al., that finally tried to look at
10 this, and he cut the data several different ways, but the
11 bottom line, he concluded that contrary to current
12 thinking, transfusion of a single old platelet actually
13 seemed to be protective.

14 We don't have that kind of data in the United
15 States. We just don't know what the date of our platelets.
16 What about pathogen reduction? It was alluded to today
17 that platelets don't like to be put under UV lights and
18 treated, so the survival and recovery takes a hit with
19 these platelets. Dr. Benjamin said, we owe our patients
20 the safest platelets. Well, you could also argue we owe
21 them the healthiest platelets, and unfortunately, the two
22 goals are mutually -- they're not mutually possible.

23 He did show you a slide from the study in
24 Austria, but this is a U.S. slide of the pathogen
25 reduction. This is the SPRINT trial, the number of

1 platelet transfusions, and in the treated arm there were 35
2 percent more platelets needed, so that's something we have
3 to think about.

4 DR. ALLEN: Thank you very much, Dr. Brecher.

5 Dr. Louis Katz, from America's Blood Centers.

6 DR. KATZ: Dr. Louis Katz. I am the chief medical
7 officer of America's Blood Centers. My remarks today are a
8 joint statement from AABB, America's Blood Centers, and the
9 American Red Cross. Accordingly, I am not allowed to
10 extemporize.

11 I am the paid chair of data safety monitoring
12 boards at Terumo BCT, evaluating the Mirasol pathogen
13 reduction technology. We appreciate the opportunity to
14 present this joint statement to the FDA as it finalizes
15 recommendations for bacterial risk control strategies, for
16 platelet transfusion. The statement updates the FDA on the
17 current thinking of our organizations since November 30,
18 2017, when this topic was discussed at the BPAC. We
19 believe these comments will assist the FDA in evaluating
20 the multiplicity of effective approaches that are available
21 to enhance the safety of the blood supply and ultimately
22 the care and safety of the patients we serve.

23 Despite current interventions which interdict 30
24 to 50 percent of contaminated platelet units, transfusion-
25 associated sepsis remains the most common infectious cause

1 of recipient mortality reported to the agency. Nineteen
2 fatalities have been recognized and reported in the last
3 decade to FDA, but as has been pointed out during the
4 meeting, surveillance is passive, and the clinical burden
5 is believed to be greater. Hence, our organizations
6 support the need to enhance bacterial safety of transfused
7 platelets, using measures beyond the current approach of
8 initial bacterial culture performed on apheresis platelets
9 at approximately 24 hours post-collection.

10 While calibrating our efforts to enhance platelet
11 safety is intrinsically difficult without an a priori
12 threshold of tolerable risk, we strongly endorse providing
13 multiple options based on both demonstrable enhanced safety
14 and operational considerations for collection facilities
15 and hospitals across the United States, depending upon
16 their ability to implement one or more of the allowable
17 interventions.

18 This statement expresses and implies no
19 preference for a specific mitigation option over others,
20 nor does it commit any blood collector or hospital to a
21 specific approach or combination of interventions. That
22 must be the result of consultation and collaboration
23 between the collectors and transfusion services within FDA
24 rules and guidance. The options available to achieve this
25 goal include enhancing the sensitivity of testing for

1 bacteria, and the use of pathogen inactivation. We favor
2 making multiple approaches available while surveillance
3 data accrued on their relative clinical values.

4 Regarding bacterial culture approaches, these
5 include rapid point-of-care tests on days 3 or 4 and
6 beyond, re-culturing during the shelf life of the product,
7 and implementing changes in the approach to primary
8 culture. Data are available for each of these approaches
9 to support increased safety relative to the current
10 intervention using early culture alone.

11 Increased sensitivity of primary culture can be
12 achieved with three options. Increasing the inoculated
13 platelet volume, using aerobic culture only without
14 increasing platelet hold time, or changing other
15 parameters, increasing the inoculated volume without
16 changing the platelet hold time, but using both aerobic and
17 anaerobic culture, the latter for detection both of
18 obligate anaerobes and for enhanced growth for facultative
19 anaerobes and microaerophilic organisms. And finally,
20 increasing the inoculated volume using both aerobic and
21 anaerobic culture, in addition to a longer hold time for
22 platelets prior to culture, greater than or equal to 36
23 hours.

24 Published data from the National Health Service
25 Blood and Transplant in the United Kingdom, and emerging

1 data provided by our Canadian colleagues at Hema-Quebec and
2 Canadian Blood Services, suggest that a combination of
3 large-volume and delayed sampling into both an aerobic and
4 anaerobic culture medium, is effective. Two-culture
5 approaches during platelet storage presented today have
6 shown improved bacterial yield, and in one case, reduced
7 septic transfusion events.

8 The method defined by the Hopkins group for 5-day
9 platelets using secondary culture at day 3 describes
10 improved yield and improved outcomes, recognizing that only
11 five mls was inoculated into a single aerobic bottle. The
12 Irish have described improved yield during their experience
13 with aerobic and anaerobic cultures on days 1 and 4, with
14 extension of platelet shelf life to seven days.

15 Some enhanced testing options can be implemented
16 with 5-day stored platelets, but with the extension of
17 storage to seven days all enhancement improve operational
18 efficiency and platelet availability, and this particularly
19 true with large-volume delayed sampling, which results in
20 the loss of up to one day of committed shelf life that is
21 mitigated by expiration extension to seven days.

22 Based on published reports and hemovigilance data
23 from outside the United States, pathogen inactivation has
24 been shown to provide bacterial safety for platelets, while
25 also effectively reducing the risk of transmitting other

1 blood-borne pathogens and other adverse reactions from
2 transfusion. In the United States, the capacity to produce
3 PI platelets is limited by restrictive guard bands for
4 qualifying apheresis products as eligible and lack of a
5 license system for triple-apheresis products, or for whole-
6 blood-derived platelets. In order to overcome limitations
7 to the PI platelet supply, we urge the manufacture with FDA
8 to collaborate aggressively in pursuit of the goals of
9 expanding the guard bands and providing data in support of
10 triple collections.

11 Further, we ask the FDA to make regulatory
12 process more conducive to timely implementation of this
13 technology. We understand the limitations of the data for
14 evaluating the clinical efficacy of U.S. licensed PI
15 platelets. Robust data on their effectiveness are largely
16 derived from hematology-oncology patients, and patients
17 with active hemorrhage from trauma and other conditions may
18 be underrepresented.

19 In summary, morbidity/mortality due to
20 transfusion-transmitted bacterial infection will still
21 occur, does still occur, and it is necessary to pursue
22 enhanced safety to protect patients. The allowance for
23 multiple approaches that enhance bacterial safety balances
24 the need to improve safety with economic and logistic

1 considerations that may influence decision-making in
2 different institutions.

3 Thank you.

4 DR. ALLEN: Thank you, Dr. Katz.

5 I would remind speakers, please, to stick to your
6 time. If you have a written statement that can be
7 submitted and distributed, but we must keep to our time.

8 The next speaker is Dr. Heather Pidcoke, chief
9 medical officer of Cellphire.

10 DR. PIDCOKE: Good afternoon, I'm Heather Pidcoke.
11 I'm chief medical officer of Cellphire, which is a
12 biotechnology company that is developing lyophilized
13 platelets. I have two statements, one on my behalf and
14 that of my company. The other was from a colleague, Dr.
15 and Colonel Andre Capp, who is an active duty physician
16 with the U.S. Army.

17 Beginning with my statement, my disclosures,
18 including the work that I do for Cellphire. I'm a former
19 employee of Terumo BCT, which has received government
20 funding for both cold-stored platelets and for pathogen-
21 reduced platelets.

22 I'd like to thank the BPAC committee for giving
23 us the opportunity to offer some comments on bacterial
24 contamination, which became an important topic when room
25 temperature storage of platelets was adopted as the

1 standard of care in the early 1980s. This change in
2 product handling was made to address a proceeding change in
3 clinical practice, in which transfusion for bleeding was
4 replaced by prophylactic transfusion.

5 Both changes were made without the rigorous data
6 that would be required today. Room temperature stored
7 platelets have a higher incidence of bacterial
8 contamination compared to other blood products by at least
9 a factor of 10. In addition, there's a well-documented
10 platelet storage lesion with consequences for immediate
11 hemostasis. In order to prevent bacterial contamination
12 from leading to sepsis and/or death, blood centers and
13 hospitals must expend significant resources ensuring that
14 bacterially contaminated units are identified and
15 discarded. This has created a financial and operational
16 burden that is straining blood center resources.

17 In summary, managing the very short duration of
18 room temperature stored platelet products is costly, places
19 a strain on blood centers, and increases risks for
20 patients. Today the committee has primarily focus don
21 options to address the risk to patients that would add to
22 that complexity and that burden. We urge the members of
23 BPAC to consider expanding the analysis to consider how the
24 choice of the product is related to the clinical treatment.

1 There are several other ways to approach platelet
2 storage, both approved and in development. Cold-stored
3 platelets are approved for three days in plasma, and
4 existing data suggest that the storage duration could be
5 extended. Frozen and lyophilized platelets have much
6 longer storage potential and are also under development,
7 and these could carry a much lower risk of bacterial
8 contamination.

9 These products have not been considered because a
10 room-temperature stored product is thought to be required
11 for prophylactic transfusion. But prophylactic versus
12 therapeutic platelet transfusion strategies have been
13 recently compared in large randomized trials with not a
14 hemostatically active platelet product as one of the arms.
15 We suggest that a randomized controlled trial that compared
16 prophylactic transfusion with a room-temperature platelet
17 product versus a therapeutic strategy with a hemostatically
18 active platelet product is needed. Such a trial, to
19 evaluate the problem of bacterial contamination, would be
20 the additional data required.

21 This is from Andre Capp. His disclosures -- he
22 has no financial disclosures to make. He is an active-duty
23 colonel in the military and is currently on service in the
24 hematology department of the Brook Armory Medical Center in
25 San Antonio, which is why he could not be here. He does

1 have the disclosure that he does not speak for the U.S.
2 Army, for the U.S. Department of Defense, or for any other
3 U.S. government agency.

4 Dr. Capp would like to remind the committee that
5 a randomized controlled trial, the Norwegian cold-stored
6 platelet trial, although pilot in scope, presented results
7 at AABB that there were decreased blood loss in the cold-
8 stored platelet arm, and these results are consistent with
9 what we know about cold-stored platelets from trials
10 performed in the 1970s, better hemostatic function for
11 treatment of acute bleeding than room-temperature
12 platelets.

13 These results are also consistent with results
14 for cryo-preserved platelets, as demonstrated by Bob Valeri
15 in a randomized controlled trial in Cardiac Surgery in the
16 1990s. There exists a substantial body of data
17 demonstrating that alternatives to room-temperature
18 platelets, such as cold-stored platelets, thermocycle
19 platelets, cryo-preserved platelets, and lyophilized
20 platelets, offer functional advantages to room-temperature
21 platelets for acute bleeding.

22 We should keep in mind that trauma is the number
23 one cause of death in patients under the age of 44, and the
24 third leading cause of death overall. The number one of
25 cause of preventable death in trauma is hemorrhage. It is

1 time for the transfusion medicine community to acknowledge
2 the need for innovation to address this important public
3 health problem. We need hemostatically active platelets
4 with shelf life adequate to supply America's hospitals both
5 in the United States and deployed with our soldiers
6 overseas.

7 Alternatives to room-temperature platelets exist
8 now, such as cold-stored platelets. The shelf life of
9 these should be expanded now to 15 days, as supported by
10 available data. We must continue to develop more products
11 to meet our patients' needs. Thank you to the committee
12 for this opportunity.

13 DR. ALLEN: Thank you for your presentations. Our
14 next speaker is Dr. Steve Wagner, from the American Red
15 Cross.

16 DR. WAGNER: Steve Wagner, American Red Cross. In
17 addition, I've participated in three scientific advisory
18 meetings for bioMerieux. At the end of Dr. Bloch's
19 presentation, Dr. Allen suggested that blood centers, such
20 as ARC, could do secondary culture instead of a large
21 hospital, for example, at day 3. For example, we could
22 sample with immediate release on day 3, at blood centers,
23 let's say, ARC blood centers, but because we have to
24 transport the platelets, sometimes to places like New
25 Hampshire or Vermont, for example, we would lose one to two

1 days of storage on a 5-day storage time, and so that makes
2 it difficult routinely to get platelets everywhere,
3 especially rural areas, where they're far away from the
4 blood center.

5 There was also a suggestion that extending the
6 hold time, for example, to 36 or 48 hours, might
7 necessarily be efficacious to get results similar to those
8 that were described in Dr. Bloch's study, but I just wanted
9 to caution that the data, if you were to get data on that
10 maneuver, might not be as good as what Dr. Bloch reported,
11 because of slow-growing bacteria that may have lag times
12 that are longer than the time period when sampling took
13 place.

14 In the same way, and that's for 5-day storage,
15 and for 7-day storage, the same is true. Extending the
16 storage to seven days might introduce more slow-growing
17 organisms that you would not necessarily see on day 5,
18 because they're there, but they haven't had time to grow up
19 yet. So, I think that there's really not enough scientific
20 data to make claims that the blood center could do
21 secondary testing and get similar data to those that were
22 presented by Dr. Bloch at Johns Hopkins.

23 Thank you very much.

24 DR. ALLEN: Thank you for your comments.

1 The next speaker is Dr. Laurence Corash, Cerus
2 and UC San Francisco.

3 DR. CORASH: Thank you, Mr. Chairman. I am
4 Laurence Corash, I'm the chief scientific officer at Cerus
5 Corporation, and professor of laboratory medicine at the
6 University of California in San Francisco. I am an
7 employee of Cerus and have an equity interest in Cerus
8 Corporation. I started to work on the INTERCEPT pathogen
9 inactivation technology in 1982 in response to patients
10 acquiring transfusion-transmitted infections in our
11 hematology service.

12 I want to urge the committee and the FDA to issue
13 a final guidance, because as I talk to hospitals and
14 clinicians around the United States about how to reduce the
15 risk of transfusion-transmitted bacterial infection, they
16 need clarity. Draft guidance doesn't give them sufficient
17 clarity, and they want options, and they need specific
18 codification of these options so they know what to do and
19 how to use the technology.

20 There are several points that I would like to
21 amplify, based on comments that were made during various
22 presentations today. We tend to focus on septic
23 transfusion reactions and deaths, and they are undoubtedly
24 very important, but as Richard Benjamin commented, there
25 really is no level of bacterial contamination which is

1 acceptable, and we need to think about delayed infectious
2 events.

3 Any of us who have taken care of hematology-
4 oncology patients have chased febrile neutropenia and dealt
5 with catheter-related infections, and you have to think
6 about the possibility that low levels of contamination in
7 all blood products can lead to colonization by biofilms and
8 catheter-related infections. So, I urge you to keep that
9 in mind as you think about the various options and how
10 rigorous these options need to be.

11 I think also it's very important to think about
12 this from the patient's perspective. Everybody tends to
13 look at the per-component risk, but think about the fact
14 that a newly diagnosed acute leukemic will on average
15 receive six to eight platelet products in the first 30
16 days, and so that patient is looking at their total risk
17 during that period of time, and I think it's very important
18 to bear that in mind.

19 In the United States, we have largely neglected
20 whole blood-derived platelets. I think one of the things
21 that pathogen inactivation technology and also the various
22 detection technologies, when used appropriately as they
23 have been described in some of the European countries, can
24 clearly make whole-blood derived platelets more available,
25 which gives elasticity to the platelet supply, and will

1 improve availability and the adoption of these
2 technologies.

3 There was a comment made this morning about the
4 possibility of combining or using pathogen reduction
5 technology after some type of initial screening, and I
6 would like to point out that the two approaches are
7 diametrically opposed, in the sense that detection, to have
8 adequate sensitivity, needs delay for time for the bacteria
9 to multiply before they can be detected, whereas the
10 pathogen reduction technologies are specifically designed
11 to be used early after collection of the product, to
12 prevent proliferation and production of endotoxins. So, we
13 need to keep that in mind.

14 The comment was also made about the lower CCI
15 responses. I would point out that in clinical trials that
16 have been conducted with adequate hemostasis evaluation
17 mechanisms, that bleeding -- grade 2 bleeding, in
18 particular -- occurs in approximately 50 to 60 percent of
19 patients. Generally only on one or two days, which shows
20 us the prophylaxis is working. And this is despite lowered
21 CCI responses. So I think that we're transfusing enough
22 platelets, and the CCI responses don't necessarily
23 correlate directly with hemostasis and despite these lower
24 CCI responses, we're not seeing increased utilization.

1 I think that the committee faces a very important
2 task, and that hospitals and treating physicians need a
3 final guidance. Thank you for your attention.

4 DR. ALLEN: Thank you, Dr. Corash.

5 Our next speaker is Dr. Ralph Vassallo, from
6 Blood Systems Incorporated. Chief medical officer.

7 DR. VASSALLO: Thank you to the committee for
8 entertaining a brief response. I wanted to address the
9 comments that Dr. Jacobs made, and make sure that the
10 committee is aware of all the facts.

11 One of his contentions in the failure of enhanced
12 primary detection was the assertion that the residual risk
13 of bacterial contamination at day 7, for both of those
14 technologies, was the same, statistically, as historic
15 rates in 3 to 10 ml cultures. What he failed to put on
16 that slide was the day 5 Verax residual contamination rate
17 -- not septic transfusion -- residual contamination, which
18 had a higher point value than any of the other four on that
19 slide, and was probably also not statistically
20 significantly different. So, using that criterion to
21 suggest the failure of enhanced culture, so too must
22 secondary testing with Verax similarly fail.

23 He also asked us to focus on the success rate of
24 Verax interdicting. They interdicted nine in a 27,000-some
25 transfusion study, and that that was a rate of 326 per

1 million, but again he neglected to mention the interdiction
2 rate of minimal proportional sample volume, which we showed
3 had risen 107 per million as we increased from 8 to 10 mls,
4 to a 3.8 percent sample volume.

5 That, on top of the fact that we're using Trima
6 alone, which as Susanne Marschner has read into the record,
7 based on the Eder paper, was already 130 percent lower than
8 Amicus platelets, which formed the predominant platelet in
9 the Verax study. So, of course the Verax study had more
10 contaminated platelets to find in that study, so I would
11 suspect that if you do the math there, you would find about
12 a 220-some per million interdiction rate, with the minimal
13 proportional sample volume 320-some per million
14 interdiction rate with secondary testing with Verax, which
15 are likely statistically the same. So, by that criterion,
16 minimal proportional sample volume is as successful as
17 Verax.

18 I just wanted to not leave the committee with
19 that incorrect perception, so thank you.

20 DR. ALLEN: Thank you, Dr. Vassallo. The last
21 speaker that we have on our list is Dr. Carl McDonald, with
22 the National Health Service Blood and Transplant, United
23 Kingdom.

24 DR. MCDONALD: Thank you for giving the
25 opportunity to reply to some of the things said this

1 morning. I'd just like to make a point to the committee
2 about the SHOT surveillance system. In 2015, our
3 regulators at MHR, Medicine and Healthcare Products
4 Registry, actually MHRA, came to the FDA, it became
5 mandatory to report all transfusion reactions back. In
6 2015, they started to inspect the hospital blood banks.
7 So, it may not be perfect, but it is quite a stringent
8 system.

9 Also, the committee probably need to think on the
10 clinical outcomes we've achieved. Mark Brecher mentioned
11 we've had a considerable reduction in clinical reactions
12 since the implementation of bacterial screening, and I
13 think that appropriate application of bacterial screening,
14 and indeed, pathogen inactivation, used appropriately, will
15 cause a significant reduction in transfusion reactions,
16 which is obviously what everybody's after.

17 Thank you.

18 DR. ALLEN: Thank you very much, Dr. McDonald.

19 Does anyone else in attendance wish to address
20 the panel? If so, you will be granted five minutes.

21 (No response.)

22 All right, we will close the open discussion
23 section, and at this point move on to the question to the
24 committee.

1 Dr. Nicole Verdun from OBRR, FDA, will be
2 presenting the question.

3 **Agenda Item: Question for the Committee**

4 DR. VERDUN: Thank you. I just wanted to take one
5 moment to just thank the committee, thank the presenters,
6 and thank you for the compelling discussion that has
7 followed thus far. As we move into the open committee
8 discussion, I just wanted to restate the question, since
9 it's been some time since this morning's presentation.

10 The question for the committee is please comment
11 on the advantages and disadvantages of each of the various
12 strategies to control the risk of bacterial contamination
13 in platelets, including the scientific evidence and the
14 operational considerations involved.

15 Thank you.

16 DR. ALLEN: All right. So, we will open the floor
17 to the experts on the panel to begin deliberating any
18 issues that you may have heard the data for today or that
19 you haven't heard data for and think we ought to have
20 heard, and bring those. We'll focus, in addition, our
21 discussion on the FDA question presented by Dr. Verdun.
22 Copies of the question are in your panel folders.

23 This is a deliberation period among the panel
24 members only. Our task at hand is to answer the FDA
25 question based on the data, the presentations, and the

1 expertise around the table. I would ask that each panel
2 member identify him- or herself each time he or she speaks
3 in order to facilitate the transcription. You may ask
4 questions of the presenters that we heard this morning if
5 you like. Please address the question or comment directly
6 to them, and they may come to the microphone, identify
7 themselves, and respond to that question.

8 The overhead that is up there now is the
9 strategies for discussion. We will look at each of these
10 in turn, the 5-day storage, and there are four different
11 scenarios presented there, and 7-day storage, and an
12 additional three scenarios for us to discuss. And, as I
13 believe has been said, opportunities, if you see other
14 issue or items that you want to add, you certainly may do
15 so.

16 We'll start by discussing for under 5-day
17 storage, primary culture plus secondary culture,
18 predominantly on day 3.

19 **Agenda Item: Open Committee Discussion**

20 DR. LEWIS: Roger Lewis, Harbor-UCLA Medical
21 Center. With the permission of the chair, I'd like to make
22 some general comments about the challenges facing us,
23 rather than specifically addressing just one of the
24 options.

1 DR. ALLEN: Absolutely, that's quite appropriate
2 to begin that way. We have time, and yes, we need to get
3 all the information that we as the panel believe the FDA
4 should consider and help them move forward, as you heard
5 many of the speakers speak about the need to get this
6 guidance finalized and out. Feel free.

7 DR. LEWIS: As one of the token non-blood bank
8 people here, but someone who spends a lot of time thinking
9 about sparse data, what struck me is how incredibly sparse
10 the data was. It's the equivalent of a statistical
11 Rorschach test, in that anybody can see in it what they
12 want to see in it. To me, that really poses a number of
13 challenges regarding how we just think about this, and it
14 will directly relate to the question posed to the
15 committee.

16 One of the challenges is the variability.
17 There's clearly variability in the lag phase of the
18 different pathogens of interest. There is unknown
19 variability in the doubling time of the pathogens once they
20 exit the lag phase and enter the log phase, and it's clear
21 that there are clinically important contaminants that at
22 the time of initial collection, or even at 24 hours, are
23 below the limit of detection for the initial testing.

24 The strategies that are based on enhanced initial
25 sampling can fundamentally only have a linear effect on the

1 sensitivity of detection, because we may talk about a
2 doubling or a tripling or a quadrupling of the sample
3 volume, or small changes in the timing, but those are
4 really going to have linear effects, and what we're trying
5 to achieve here seems to me it's more of an exponential or
6 a log change in the risk of a clinical event a number of
7 days later.

8 To me, there's a very close analogy between what
9 we're trying to do here, which is basically a surveillance
10 problem, and the challenges that have faced those looking
11 at the data for the improvement in mortality that was
12 expected with surveillance in cancer screening. And as I
13 think, as many members of the audience and the committee
14 know, that the actual reduction in deaths from many cancers
15 for which we actively screen has been a lot smaller than we
16 would have hoped for.

17 One of the key insights related to that
18 observation is that cancer, even within a particular
19 histologic type, is in fact very heterogeneous with respect
20 to the speed with which it grows or metastasizes, and I
21 think the lessons that were learned from that area, where
22 there is very rich data, can inform the way we think about
23 this area in which the bacteria are the heterogeneous
24 thing, and there are very sparse data. If we were only
25 interested in catching the rapidly growing pathogens, we

1 could just culture initially, or one time at a fixed time
2 point. If we knew they were all going to be slow, we could
3 culture later. But since we don't know, we have to have an
4 enhanced strategy.

5 In my mind, what this means, that if one accepts
6 that the current risk of contaminated platelet units is too
7 high, there's really no escaping the need for one of two
8 approaches. One is a sequential testing strategy, because
9 you have to do better than just a linear change in the
10 sensitivity at the initial test. Or, a pathogen reduction
11 strategy that essentially reduces of any contamination at
12 all below the level that you're willing to detect.

13 If one is comparing sequential testing
14 strategies, it's either an additional test at four days, or
15 a just-in-time rapid test. What the committee, I think,
16 should understand that the just-in-time rapid test will be
17 statistically superior if the sensitivity of that test is
18 larger than the fraction of all contaminated units --
19 meaning, those that will ultimately turn out to be
20 contaminated that have turned have turned positive by day
21 4.

22 Thank you.

23 DR. ALLEN: Thank you, Dr. Lewis. We are going to
24 take a quick break here. Dr. Jones has lost his telephone
25 contact with us, and we need to reset that, so if you want

1 to get up and stretch, get a quick drink of water, but we
2 will reconvene in four, at most five, minutes.

3 (Break.)

4 DR. ALLEN: We will reconvene the discussion.

5 Dr. Jones, just quickly, we've finished the
6 public session and we have just had about three or four
7 minutes of discussion under the committee's discussion
8 format, and we're going to open it first of all for some
9 general discussion, and questions the committee members may
10 have. Our speakers from this morning are here, and if you
11 want to address a question to any of them, you're certainly
12 welcome to do so.

13 DR. ALVING: Barbara Alving. I just wanted to
14 make a few general comments. First of all, I think there
15 are some things that perhaps could be agreed upon, and from
16 my reading and the comments today, it seems that the AABB
17 criteria for STRs seem to be one that appeared to be very
18 strong, and I think that could be explored further, if one
19 is looking at definitions for STRs. So that that could
20 lend a little more clarity to physicians in the field. But
21 I would also agree that I think it's very unlikely that
22 we're going to get robust reporting systems from actual
23 clinicians, because they work in such complex situations.

24 Secondly, I think every one of these hospitals or
25 blood centers is probably moving forward. In other words,

1 this is a dynamic moving field. It's just not going to
2 stay put. So, I think we have to have -- I would recommend
3 the FDA have flexibility in looking at what is known, but
4 keeping the door very much open for going forward.

5 Thirdly, I would say that our country is
6 incredibly complicated, for example, I don't even begin to
7 know of all the operational issues. In other words, what
8 works for Johns Hopkins with the American Red Cross, or
9 Johns Hopkins in Baltimore, may not work for Fargo, North
10 Dakota, with Arizona -- is it Arizona supplying Fargo's?
11 Fargo's a long, long way away from Arizona.

12 It's an incredible network of systems that we
13 haven't really heard about, and I think somehow, and you
14 brought that in, in terms of operational considerations,
15 these are things that need to be respected and perhaps can
16 only be understood in terms of those blood centers that are
17 supplying the platelets and having their other ideas about
18 moving forward.

19 DR. ALLEN: Thank you.

20 DR. SCHREIBER: Marty Schreiber, from Oregon
21 Health and Science University. I wanted to expand on the
22 comments made by Heather Pidcoke and Colonel Capp. I
23 didn't mention it earlier, but I'm also a reservist, United
24 States Army, I've been deployed three times to Iraq and
25 Afghanistan. I work in Portland in a big city, but it's

1 primarily a rural state, and this platelet problem affects
2 me and all the places I work.

3 I think it's critical to talk about this --
4 everybody's here today for one simple reason, and that
5 simple reason is we're leaving the milk out of the
6 refrigerator. And everybody knows that if you leave the
7 milk out of the refrigerator, bacteria grows in it. This
8 leaving the milk out of the refrigerator is making us have
9 a 5-day product. What does that mean? In theater, what it
10 means is, you can't get platelets from CONUS. You have to
11 get platelets from theater.

12 Right now, when operational tempo is low, there
13 are not people to get platelets from. So, the military is
14 using cold-stored platelets out of necessity. What does it
15 mean in rural Oregon? What it means is our entire, the
16 vast majority of our geographic state, doesn't have
17 platelets. If you're injured on the coast of Oregon and
18 you're hemorrhaging and you need your spleen out, you're
19 not going to get platelets, and you're going to die.
20 Mortality is double in rural places. You can't store
21 platelets in a rural hospital when you can only store them
22 for five days.

23 On top of all that storage issue, the truth of
24 the matter is cold-stored platelets have greater activity
25 and are more hemostatic than room temperature platelets,

1 and we know from data in the 1970s that the cold-stored
2 platelets come out of circulation much more quickly,
3 however, you don't need the platelets to stay in
4 circulation if you're bleeding to death. You need to give
5 a platelet transfusion, stop the bleeding, the patient
6 survives, and you move on. There may not be one solution
7 for all the patients.

8 So, maybe the cancer patients who are
9 thrombocytopenic, maybe they're going to get a warm
10 product, and we're going to do one of these things. But
11 our trauma patients who are hemorrhaging to death need
12 cold-stored platelets so they can be used throughout the
13 world on our patients, and they're more effective, they'll
14 stop bleeding, and save lives. We need to extend the FDA
15 approval for the time that cold-stored platelets are
16 approved. Right now it's three days. There's no reason
17 for that. They should be approved for 15 days.

18 DR. DE MARIA: It is sort of related to the last
19 few comments. I think with all of the material provided to
20 us, the discussion at the last meeting, the discussion
21 here, the presentations, I have a good sense of what it
22 might take to get to zero in terms of septic reactions.
23 What I don't know, and was alluded to earlier in the
24 meeting, was what are the unintended consequences related
25 to operationalizing these various interventions?

1 In terms of what the consequences -- it's hard
2 for me, because I don't collect blood and I don't transfuse
3 blood, it's hard for me to imagine what those are, but I
4 have to imagine that there's a certain downside in terms of
5 the consequences of implementing one or more of these
6 strategies in terms of what it means to people who need
7 platelets, what it means to the supply of platelets, and
8 some of the other things that were discussed here. That's
9 what I would like to see more information.

10 The other things is these strategies -- I think
11 we're considering these particular strategies because they
12 were studied, and after this discussion I can see a lot of
13 combining some of the interventions within these strategies
14 with each other, and the possibility of coming up with
15 another algorithm for testing, or an algorithm even related
16 to pathogen reduction, and what are the options for other
17 potential strategies?

18 DR. LEITMAN: I would like to make a plea for
19 continued hemovigilance no matter what the larger blood
20 collection centers decide to implement after our
21 recommendations and discussion are published. Large
22 collection organizations -- Red Cross, UBS, consortia of
23 AABB centers, perhaps -- continue to engage in studies,
24 perhaps NIH-funded studies, of active surveillance of
25 what's going in the product, detectable by transfusion at

1 the point of issue, or at the point of outdates, so we know
2 how successful all these interventions are and we can
3 compare apples to apples, when it's all done.

4 DR. ALLEN: Dr. Leitman, there are people here who
5 are not -- including myself, certainly -- who are not
6 primary blood bankers. Do you want to give a very brief
7 description of the term hemovigilance, because it's one I
8 hear thrown around a lot, and I've never really heard it
9 well defined? I think I have my own definition. Or anyone
10 else who might want to add it. But I think just a 30-
11 second definition so we're all at least talking about the
12 same term.

13 DR. LEITMAN: I think it is a systematic study and
14 analysis of the safety of transfusions, not only infectious
15 safety; it's safety from all sorts of adverse effects of
16 transfusion. One does that systematic analysis both by
17 analyzing the safety and efficacy of the product, safety
18 would be infectious with a culture point of issue, as well
19 as outcomes in the recipient.

20 In order to implement that kind of system, you
21 have to have codified definitions of each type of the
22 clinical adverse reactions that you collect and analyze.
23 Septic transfusion reactions, someone needs codified
24 definitions, you need systematic collection of the data,
25 both on the product and the patient, in multiple areas of

1 the country -- urban, suburban, multiple collection centers
2 and hospitals. I would ask those doing this if they want
3 to supplement that definition. Maybe Sue Stramer could
4 help with that.

5 DR. STRAMER: Well, I think, as Dr. Leitman said,
6 it's a system of really collecting patient outcomes, and I
7 would just add, since as long as we've been talking about
8 the problem with bacteria, we've been talking about a
9 national hemovigilance system in the United States, and
10 until one is required or supported, it's going to be very
11 difficult to implement on a voluntary basis. Going back to
12 the remarks that Dr. McDonald made about the NHSBT, that's
13 not the case. Even though SHOT is a passive reporting
14 system, because they're not culturing every single product
15 at outdate, it is not the passive surveillance that we have
16 in the United States.

17 There are dedicated and trained staff in
18 hospitals who do nothing but monitor reactions. These
19 programs are inspected for consistency in following their
20 SOPs. And they're supported by government. So, we have
21 none of those systems available in the United States, and
22 although the CDC may have NHSN, the reporting into NHSN is
23 sparse at best, and even if we use a centralized collection
24 of definitions, until we have support for a required system

1 of reporting patient outcomes in the United States, nothing
2 will happen.

3 So I made a listing of a couple of observations
4 that I had during the day that I wanted to comment on, but
5 one of them is probably a more objective criteria, although
6 there are costs associated with anything we discuss, and
7 where that money comes from is unknown, but outdated
8 culture, I think, that was one of Dr. Jacobs's points, is
9 really the most objective criteria to determine the
10 efficacy of what we have today, which we really don't know,
11 or the efficacy of any changes that we make.

12 So unless we do something like outdated culture,
13 which of course costs money, and one could argue, if I'm
14 going to spend this kind of money, why wouldn't I spend it
15 on the intervention rather than a monitoring device? So,
16 to answer the original question, hemovigilance is a way to
17 monitor what we have going on, whether it's in patients or
18 donors, but in this case it's really to assess the
19 effectiveness of any strategy we use based on patient
20 outcome.

21 DR. ALLEN: Thank you.

22 Dr. Jones.

23 DR. JONES: I am Jefferson Jones, CDC. First, a
24 comment on CDC's NHS and hemovigilance module, which is,
25 those who don't know, it's an online module that hospitals

1 can opt in to, to report adverse events with a blood
2 product. Currently, one of the difficulties with bacterial
3 contamination of platelets is that they're grouped into
4 transfused transmitted infections, having specific criteria
5 for fever and heart rate and symptom onset that might be
6 more specific to sepsis from bacteria. It's difficult to
7 group in with the viral infections that we also look for as
8 transfused transmitted infections. That's a current
9 difficulty in NHSN, looking specifically for bacteria.

10 A couple other comments. There's been a few
11 comments about small changes in volume or timing, and
12 needing a secondary test as opposed to primary. I don't
13 know if I have seen enough data where when we're talking
14 about logarithmic changes, particularly about delayed
15 culturing, whether a delayed culture, say 36 to 48 hours,
16 versus a secondary test at four days, if I've seen enough
17 data to know where the appropriate cutoff is to allow for
18 that proliferative phase of bacteria to be detected.

19 A third comment is to the question about when we
20 do get to each of these individual strategies and we're
21 talking about them, I don't know if we want to address
22 anaerobic, whether anaerobic testing would be recommended
23 for all strategies, or only for those strategies that have
24 been demonstrated through studies.

1 We have had comments on both sides about
2 increased false positivity, but we've also had the comments
3 about, most in the UK, about organisms that have only been
4 picked up just on anaerobic bottles. We have the recent
5 MMWR that talks about *C. perfringens*, and even the Klebs
6 pneumonia, the facultative anaerobe, and we have concerns
7 that both of those might have been prevented if anaerobic
8 testing had been available. Thank you.

9 DR. ALLEN: Thank you.

10 DR. STRAMER: In response to Jefferson's comments,
11 or comments that have been made by the committee, for any
12 of these interventions, we have the data that we have, and
13 if we want more data, or perfect data, it's going to take
14 years to collect, and probably in permutations that may not
15 be optimal. I think, you know, it's time to cut the -- or
16 to facilitate doing something even though the data may not
17 be perfect, but in order to move things along, determine
18 ways to determine if they're efficacious, and then to do
19 fine-tuning as we move forward.

20 I don't know that we want to meet once to twice
21 a year to talk about contamination of platelets. I would
22 say it's time to take action, whether it's finalizing
23 guidance, as has been suggested, knowing what interventions
24 we can use, do refinements of those as we figure out a way
25 to really collect data, and move on.

1 I would also say that I agree with those
2 presenters who commented that we don't want any bacterial
3 contamination in platelets, because given any recipient on
4 any given day, depending on the condition of the recipient,
5 I think any bacterium could cause havoc, certainly, in that
6 recipient.

7 I think the only other comment that I wanted to
8 make was we talked about device differences, and there are
9 collection device differences, as has been shown.
10 Regardless, both of them will need ongoing bacterial
11 enhancements. It doesn't really matter. And I was
12 actually hoping that Fenwal or Fresenius Kabi, they have
13 made a software change in their collection device for the
14 Amicus, so I was hoping that they would present some of
15 their data, although their licensed claim is not for
16 reduction of bacterial intervention. The software allows
17 another 100 mls of the initial collection to be diverted,
18 so that potentially has the ability to further reduce
19 bacterial contamination rates.

20 DR. ALLEN: The assumption on that being that the
21 first collection, the initial collection, after penetration
22 of the skin, is the one that allows a lot of the bacteria
23 that enter to do so. I agree with you. I had made a note
24 myself, as I prepared for this meeting, and heard the
25 presentations today, that yes, we need to go back and take

1 a look at skin antiseptics, we need to take a good look at
2 what we might do to first to reduce the ability of any skin
3 bacteria to get into the system at any point, and certainly
4 even the simple -- any needle puncturing it has a potential
5 risk.

6 We need to make certain that we've got the best
7 systems available that technology can develop, and that
8 probably hasn't been given much thought recently and
9 probably needs to be kind of on a continuing development
10 basis.

11 DR. ESCOBAR: Miguel Escobar, in Houston. I think
12 we can get as complicated as we want today, but that's not
13 really what we want. Here, at the end, we've got to make a
14 recommendation. The way I see this, we have a practice
15 which is not perfect, which is platelet transfusion, it's
16 given morbidity and mortality, and we've got some
17 strategies to try to decrease that. It's not perfect, the
18 data, but we have to work with what we have, like it was
19 said.

20 So at the end, we're just going to have to make
21 some recommendations, putting those strategies in
22 combination to bring that to minimal or zero. That's the
23 way I see it. Collect data, then prospectively, from then
24 on, to try to get more information.

1 DR. ALLEN: Are there other general comments that
2 any of the committee members would like to make? Then
3 we'll get into the discussion of the specific strategies.

4 DR. CALIENDO: Angie Caliendo. I think, maybe
5 it's just my perspective, I understand driving risk to
6 zero, but at what cost? We haven't really talked a lot
7 about false positive results and discarding units that are
8 otherwise, maybe discarding units incorrectly, and limiting
9 access to platelets because of that, and some of the data
10 that we saw today, the numbers, if you do the math, are
11 high. You're really dumping a lot of potentially useful
12 units.

13 So I don't know how -- I'm not a blood banker, so
14 I don't know how you guys weigh the pros and cons of that,
15 or whether the goal is we're going to a zero risk no matter
16 what. So I appreciate from some of the people in the panel
17 who are transfusion experts to giving that perspective.

18 DR. ALVING: Barbara Alving. I just wanted to --
19 I think one of the little elephants in the room is this
20 anaerobic testing, and I am wondering if there could be
21 some different approaches to that. For example, let's say
22 an organization like Hopkins does their secondary
23 culturing, but they are using aerobic, but what if instead
24 of instituting also anaerobic, they could do a rapid test
25 that would be good enough? In other words, you would be

1 picking up anaerobes and aerobes, since they seem to be
2 growing faster, and that could suffice. That could be a
3 surrogate for an anaerobic culture. So I'm wondering if
4 there are ways to think about this at all, if it would be
5 easier.

6 DR. ALLEN: Maybe somebody will answer that. Dr.
7 Harrell, do you -- I don't see another hand responding to
8 that. I was just going to move on, because I know you have
9 had your hand up, Dr. Stramer has, so let's go ahead but
10 that is a question that clearly is important and needs to
11 be addressed.

12 Dr. Harrell?

13 DR. HARRELL: Lizzie Harrell, Duke. My general
14 comment is that the biggest challenge for me in trying to
15 decide what to recommend here is that I'm having a hard
16 time comparing the data from the various studies, because
17 of the volumes used and again, aerobe versus anaerobe, and
18 also the time period. Are we going for five or seven days,
19 and also when are we going to do the secondary testing? So
20 what I was wondering is if we are going to look at five
21 days, should we look at the 5-day protocol, then try to
22 narrow it down and agree on something that could be done by
23 several different large centers for at least a while so we
24 could get some data to see where to go from here?

1 DR. ALLEN: I am a little surprised we haven't
2 heard more from NHLBI at this meeting, because I would
3 think that in terms of the research side of it, that they
4 might be good partners to be listening to the discussion
5 here. That's just a comment to throw out there. Yes, we
6 will come back and in just a few minutes begin the
7 discussion of those.

8 Dr. Stramer?

9 DR. STRAMER: I wanted to respond to the earlier
10 questions. I don't think anyone is presuming that we would
11 get to zero risk or achieving zero risk with bacteria. I
12 think we're still looking for the optimal incremental
13 improvements over what we are doing today, and just to
14 remind us that donors are healthy, asymptomatic
15 individuals. So when we do screening for any marker, the
16 vast majority of results, over 90 percent of the results,
17 are false positive versus true positive. So every day we
18 sit here, whether it's HIV or HPV or Zika virus or whatever
19 the agent is, we vastly discard many, many units from
20 healthy individuals who then desperately ask us to be
21 reentered as a donor. So this type of issue is not new.

22 DR. CALIENDO: Angie Caliendo. I'm not -- I
23 understand exactly what you're saying. I guess what I'm
24 trying to get is a number around it where this benefit is
25 not worth this side effect of sending away this many units,

1 and do you guys have those kinds of cutoffs in your head,
2 or not. Do you know what I'm saying?

3 Like to drive it down to one per million less for
4 throwing away another 300 units, what's your cutoff? How
5 do you think about that? Because I understand exactly what
6 you're saying. But I'm wondering if there's a cutoff to
7 which you're like, oh, this is -- we're wasting good
8 material here at this point.

9 DR. STRAMER: I don't think we have that number.
10 That's what Dr. Katz read from the AABB statement. We
11 don't have a number a priori. We just know why we're here
12 today is we don't want to be here six months from now. So
13 we need to advise FDA which of these strategies they should
14 move forward with, based on whatever data are available,
15 which are sparse and not able to be compared one method to
16 another.

17 DR. ALLEN: Dr. Katz, you have one minute. This
18 is the committee's time.

19 DR. KATZ: When we wrote our statement, we forgot
20 that most of the people at the table are civilians, as
21 opposed to blood bankers. So let me make the point very
22 clearly, that the nature of the comments about 7-day
23 dating, it more than compensates for what you lose in false
24 positives. Before PASSPORT at my center, the outdate rate
25 for platelets was 12 percent. With 7-day dating during

1 PASSPORT, it went to below 5 percent. So the platelet
2 supply expands with 7-day dating. That's important for you
3 to understand.

4 DR. ALLEN: Thank you. Any more general comments?
5 If not, it's moving on, 3:30. So I'd like to get to the
6 discussion of these individual items because the FDA is
7 very interested in our specific comments. So for the 5-day
8 storage period, let's first of all consider the approach of
9 primary culture plus secondary culture on about day 3, and
10 you can also make any comments you want about volume
11 collected and -- well, we'll get to rapid testing in the
12 next discussion. So this is two cultures. The second one
13 being about day 3. Any comments?

14 DR. KAUFMAN: This is partially a general comment,
15 but one thing that people on the committee that are not
16 blood bankers may not think about is that some of the
17 interventions that are being discussed really apply just to
18 blood collection facilities, and some, such as secondary
19 rapid testing or secondary culture, really apply to
20 transfusion services only.

21 So they really in some ways have to be considered
22 very, very differently. An ideal intervention, honestly,
23 would be something that would be done right up front by the
24 collection center, and then you would have a perfectly good
25 product to go to the patients. Anything that is done at

1 the level of a transfusion service or hospital immediately
2 presents both costs and challenges, and the same can be
3 said for the blood collection interventions as well.

4 One of the things that was really, I thought,
5 intriguing about this secondary culture that was done at
6 Hopkins, one of the reasons that this was done, frankly,
7 was it was practical. We saw some data that, while not
8 perfect, it did interdict some contaminated units that
9 otherwise would likely have been transfused and may have
10 caused harm to patients.

11 It was done at a relatively low cost. It did
12 require the addition of a single FTE, so not at all a
13 trivial amount of work, but certainly less expensive than
14 some of the other interventions that we'll be talking
15 about. So anyway, I guess I think some of the advantages
16 are really, I would categorize as operational.

17 DR. ALLEN: I think you have raised some very
18 important points here, because the Hopkins system, while it
19 worked very well there, probably would not work at all at
20 the vast majority of community hospitals, and in fact, it's
21 not discussed -- people still have the concept. I think
22 the majority of the citizens or residents in our country
23 probably believe that if they go to the hospital in their
24 community in their area that they will be getting blood
25 donated by their friends and neighbors to the local blood

1 center. That just isn't the way that it works anymore, and
2 it's going to continue to evolve.

3 The fact is, blood moves and blood components
4 move all over the country by air every single night, and
5 we're getting to the point where there are going to be
6 large regional distribution centers, and so where it's
7 collected, it will go to a distribution center, perhaps
8 some of the processing will be done there, and then it's
9 going to go to area hospitals out there where it's needed
10 and collections will be enhanced where it's less expensive
11 to do so rather than where it's more expensive to do so.

12 There's going to be all kinds of changes and
13 whatever testing system is put into place has got to be --
14 we have to look at how the test is going to be done, when
15 it's going to be done, and how the results of the data are
16 going to follow the unit of blood. So it's getting -- the
17 logistics of that are enhancing the complications of it.

18 Dr. Leitman?

19 DR. LEITMAN: If we are going to go through all
20 seven of those one by one, which is a reasonable approach,
21 then we don't really have to comment on whether it's
22 operationally feasible to every center, rural transfusion
23 medicine centers, tertiary care centers, huge collection
24 organizations like Red Cross. Just have to say does it do

1 something that we think significantly adds to safety in
2 terms of bacterial contamination.

3 So what I heard this morning presented by the
4 investigator from Hopkins was that primary culture, the
5 typically 8 to 10 ml aerobic, at 24 hours, followed by a
6 day 3 culture, which was in their case 5 ml in an aerobic
7 bottle, if one chooses to do more, 8 ml or whatever one
8 chooses as more is only going to add more, but will also
9 cause you to lose more product, probably.

10 But the 5 ml in an aerobic I thought
11 significantly enhanced the safety of that product, and was
12 a highly efficacious intervention. I think Hopkins data --
13 I may be misquoting them -- is 1 to 3,000 initially
14 positive products at their 24 hours, and then 1 in 4,600
15 were captured later. That is the vast majority of what you
16 would expect to be clinically significant to cover day 3,
17 4, and 5. So I find that to be a very fine intervention at
18 a reasonable cost that significantly improves the safety of
19 platelets in terms of bacterial contamination. As to
20 whether you can implement it, it depends on your center.

21 DR. STRAMER: I thought what was significant about
22 the Hopkins data is that they showed a certain rate of
23 septic transfusion events prior to and then zero in the
24 subsequent 13 months. So I thought most importantly they
25 showed clinical efficacy without doing outdated culture, at

1 least within their own system, using their own consistent
2 definitions.

3 DR. BAKER: Something that I saw, this might be a
4 little bit more general, but I think it was the EU had some
5 common technical specifications that were published for HIV
6 diagnostic tests that articulate the requirements,
7 standards and criteria that must be met, so that concept,
8 that general concept, I think might -- I would recommend
9 that the FDA consider in moving forward to final guidance.
10 I mean, there was so much talk about apples and oranges and
11 anaerobic/aerobic and near miss was often not in some of
12 the studies.

13 So the general concept of placing some common
14 technical specifications, not to lock or limit future
15 studies, but for them to be considered as being valuable to
16 all future studies. I think if that was clarified, that
17 could help future study designers and help us with the
18 available data.

19 DR. ALLEN: Thank you. Other comments on this?
20 Anybody else want to expand on Dr. Leitman's overview for
21 the primary culture plus secondary culture day 3?

22 Okay, are we ready to move on to the second
23 option, primary culture plus secondary rapid testing?

24 DR. DE MARIA: Al DeMaria from Massachusetts
25 Department of Public Health. To me, from what was

1 presented today, it seems like either/or. You're going to
2 do a primary culture regardless, unless you go to one of
3 these other strategies, but for these two strategies,
4 secondary culture and secondary rapid testing seem to be,
5 from the data, equally effective at reducing risks. So I
6 sort of see them as either/or.

7 DR. KAUFMAN: Actually, this is a question maybe
8 for Dr. Jacobs. One of the -- first of all, I agree with
9 what Dr. DeMaria says, and I think that there's a clear
10 efficacy in the secondary testing approach and with the
11 Verax test specifically. One of the challenges, though, is
12 just the format of the test to set up for sort of one
13 platelet at a time, whereas often at least larger centers
14 like mine would definitely want to test a batch. So this
15 is sort of another operational question, but is there a
16 batch format forthcoming, or can you comment on that?

17 DR. JACOBS: That is a good question. Michael
18 Jacobs, Case Western Reserve University, Cleveland. That's
19 a very good question. When we have run the Verax test, we
20 typically do it in batches of 6 or 12, and they have little
21 stands that hold six units. You do 6 at a time or 12 at a
22 time, and the timing works out very well.

23 DR. JONES: This comment refers to kind of the
24 three top, the three first items. These are the besides
25 pathogen reduction technology, anyone that does not include

1 anaerobic bacteria tests in this time. We in Office of
2 Blood Safety here at CDC have talked about the imposing or
3 requiring anaerobic testing or maybe changing these
4 methodologies. We agree with the earlier comment that the
5 efficacy from what the level of data, slightly smaller
6 studies compared to national hemovigilance studies, look to
7 be efficacious; given the rarity of the event, it's
8 difficult to prove, but given what we have discussed so
9 far, the anaerobic testing would be recommended.

10 DR. ALVING: Barbara Alving. My question would be
11 would the rapid -- I said this earlier -- could the rapid
12 testing be a surrogate for anaerobic culture? I know you
13 said that you're adding one more antigen to the test, but
14 could that take the place of an anaerobic culture? It
15 would be interesting to compare those two.

16 And then my other thought would be secondary
17 rapid testing is good only for 24 hours and you have to
18 keep repeating it if you haven't transfused the platelets.
19 Does that make sense? Does that give you any additional
20 information, or could you say you have done the secondary
21 rapid testing and that's good for the next three days? You
22 know, what are the data that say you have to do it every 24
23 hours? Because that really is very difficult with trauma
24 situations, et cetera.

1 DR. LEWIS: I don't know the answer to the
2 question, but my impression was that it was in the slide
3 that showed that this limit of detection for the rapid test
4 was in the range of 10^4 to 10^5 . So you are already in
5 the exponential phase. So another day could be a much
6 higher number.

7 DR. STRAMER: If I may -- Susan Stramer -- a
8 negative at day 4 doesn't guarantee a negative at day 5, et
9 cetera, based on exactly the points that we just made.

10 DR. EDER: Anne Eder. I am actually with the FDA,
11 but I'm representing myself. To answer your question, in
12 our paper that described the septic transfusion reaction
13 from clostridia, the rapid test did not detect it. So it
14 can't be thought of as a surrogate. They offer different
15 advantages. But they both offer advantages, but they're
16 not -- one doesn't serve as a surrogate for the other.

17 DR. ALLEN: Thank you. Again, however, my guess
18 would be that, given the right incentives, that the rapid
19 testing technology could be developed very quickly and I
20 have enough faith in human innovation when properly
21 rewarded to come up with systems, given the right
22 incentives to do so. So we will see things in five years
23 and ten years that don't even begin to exist today.

24 Do any of our speakers from this morning want to
25 address the issue, additionally?

1 DR. DE MARIA: Al DeMaria from Massachusetts
2 Department of Public Health. Could I just speak in favor
3 of the anaerobic culture? Just from the fact that I think
4 the strict anaerobes are very rare in causing septic
5 reactions, so I would like to see more in terms of
6 expanding the potential for identifying organisms. Also, I
7 don't remember -- I may have missed it -- that there wasn't
8 data about rapidity, time to positivity, that might be
9 better in an anaerobic bottle than aerobic bottles.

10 So I think there may be advantages just -- I
11 think already been suggested -- there might be advantages
12 beyond picking up strict anaerobes to having anaerobic
13 bottle. Whenever I didn't have enough blood for both
14 bottles, I always put it in the anaerobic bottle.

15 DR. ALLEN: Dr. Jones?

16 DR. JONES: I would just like to reiterate that I
17 think for the anaerobic question that currently there's not
18 data that would convince me that it's a good -- that the
19 rapid test is a good surrogate, and I think in the McDonald
20 publication in table 4, I do -- there may be a good
21 additional data beyond this, but find that some of that
22 data is helpful for the discussion and seeing which --
23 seeing some time to detection and which organisms were
24 detected in England and one bottle, only one bottle versus
25 the other, anaerobic versus aerobic.

1 DR. SANDERS: Joe Sanders with Verax Biomedical.
2 The test does in fact detect anaerobes. The sensitivity of
3 the first generation test across all species wasn't
4 identical, but our second generation test offers pretty
5 significant enhancements that has already been developed
6 and is now in validation. But the test detects both
7 aerobes and anaerobe species.

8 DR. ALLEN: Thank you.

9 Okay, and again, this is discussion for the panel
10 members.

11 DR. LEITMAN: Could I ask a technical question to
12 the microbiologists here? So *Clostridium perfringens*, when
13 it's in the exponential replicative phase after three or
14 four days in a platelet component, is that picked up in an
15 anaerobic bottle when it's replicating so rapidly, or is it
16 really strict and will it never be picked up in an aerobic
17 bottle, only anaerobic, if you are doing culture?

18 DR. HARRELL: Lizzie Harrell, Duke. It is rare
19 for it to be picked up in an aerobic bottle, but
20 occasionally it has happened if it's in the exponential
21 phase, just because it grows so rapidly that it reduces the
22 oxygen in the bottle and also will allow it to grow -- *C.*
23 *perfringens*, even though it's an anaerobe, it is not one of
24 the most strict anaerobes.

1 DR. ALLEN: So thank you. Basically, what you are
2 saying is it's all very complicated and it depends on a
3 whole bunch of what's getting together at the same time.

4 Okay, thank you.

5 Other discussion on the primary culture and
6 secondary rapid testing? Again, I think this is an area
7 that will develop further, and certainly one I would not
8 want to see eliminated at this basis even though I think
9 it's got limitations to it.

10 Dr. Jones?

11 DR. JONES: No additional comments at this time.

12 DR. LEITMAN: I think my comments are exactly the
13 same as those of the first procedure that we talked about.
14 The data suggests that primary culture plus secondary rapid
15 testing and then release within 24 hours of that rapid
16 testing, using the currently licensed improved test,
17 significantly reduces the incidence of serious -- the kind
18 of bacterial contamination that will lead to a serious
19 clinical reaction. It makes the product significantly
20 safer in terms of bacterial contamination.

21 DR. ALLEN: I would agree with you. I was more
22 skeptical when I started preparing for this session, and
23 was very intrigued with the data that are available.
24 Suggesting that.

1 DR. SCHREIBER: I think the obvious -- Marty
2 Schreiber, OHSU. I think the obvious limitation is that
3 you have to know when the transfusion is going to be,
4 right? So you're going to have to test it every time if
5 you go beyond 24 hours, and certainly in my environment, I
6 don't know when I'm going to give a platelet transfusion.

7 DR. LEITMAN: Leitman, NIH. That is a very
8 significant operational question, and for large transfusion
9 centers, they'll figure that out, and for moderate
10 transfusion centers they'll figure it out, too.

11 The ones that use platelets, need them in
12 emergency, use them less often, the smaller centers, the
13 rural centers, the in theater centers, maybe perhaps that
14 won't work for them. But it is acceptable to significantly
15 decrease the likelihood of bacterial contamination, which
16 is I think what the advice and the discussion we're
17 offering to FDA.

18 DR. ALLEN: Any other comments on the primary
19 culture secondary rapid testing?

20 We will move on to the third one then under 5-day
21 storage, minimal proportional sampling volume, which is
22 based as you heard in the discussion on a larger proportion
23 of the base, but the volume depending on the amount of the
24 unit that is being tested.

1 Any comments or discussion on this approach or
2 comments?

3 DR. LEWIS: As I said in my general comments, I
4 see this approach as giving a sort of linear several-fold
5 advantage, and the question is whether that is the kind of
6 reduction that gets us where we think we need to be, and to
7 me it's a less promising strategy than any of the prior two
8 that we have discussed.

9 DR. ALVING: I think, again, we have to look at
10 this maybe in the context -- I mean, now we have switched
11 over to an actual blood donor system, that's sending its
12 platelets out, again, to Fargo. That's one of my favorite
13 towns. And maybe also to Oregon, where you're going to
14 need to give it to your trauma patients. So here they have
15 done something that they have taken the responsibility at
16 the blood center, and they said this is how we are going to
17 mitigate this issue.

18 So if you look at it as a primary, then
19 presumably the recipient hospitals wouldn't do anything
20 further, but they must have very good informatic systems so
21 that if they get a notice from Arizona, that look, this has
22 turned positive, do not transfuse it. Then they can do
23 that.

24 DR. CALIENDO: Angie Caliendo. Several of the
25 people who spoke today asked for flexibility in how we

1 would -- how this could be implemented. If this isn't the
2 most effective way, it does give sites some flexibility,
3 because then they don't have to do anything onsite. It's
4 all done at the central site, and then they can just use
5 the product.

6 DR. ALLEN: I think there's certainly an argument
7 if the level of contamination is extremely low to begin
8 with, that certainly gives you a much better opportunity to
9 capture the organism, and again, often I think this was the
10 larger volume was then split into multiple bottles, one of
11 which -- one or more of which -- might be anaerobic. So it
12 clearly offers some flexibility and options that aren't
13 available when you're doing what truly is a minimum sample
14 collection from the unit.

15 DR. LEITMAN: So I think this is one of the most
16 operationally attractive methods, because the blood center,
17 the collection center, does everything, releases a
18 component with a 5-day shelf life that the transfusing
19 service doesn't have to do anything else to, and in the
20 hands of the collection facility, their number of true
21 positives doubled during that time, but this was not a
22 randomized concurrent study. It was one period followed by
23 another period, and we all know that things change.
24 Anything could have changed.

1 So their collection instrument did not change,
2 but we know that things change over different time periods.
3 That bothered me, that it wasn't concurrent, that it was
4 two different periods. So that study compared within
5 institution, two periods, with a different technique, but
6 as has been critiqued by another presenter this morning,
7 the residual contamination was still quite high. That
8 bothers me.

9 So I find this to be perhaps -- it's hard to
10 compare apples to oranges. There was no outdate sampling
11 at point of large volume outdate sampling here to know for
12 sure what the residual risk was. So find this likely to
13 decrease the level of bacterial contamination, but I'm not
14 as excited about it.

15 DR. KAUFMAN: I think I would echo those comments.
16 I would allow that if you sample more volume, you're likely
17 to increase your sensitivity to detect bacteria some, but
18 unlike the first two strategies that we have talked about,
19 this is one that just will miss the delayed exponential
20 growth of bacteria, which is really the problem.

21 DR. LEWIS: Roger Lewis. I would like to ask a
22 question about the kinds of advice the committee can or
23 can't give. So if we were in a situation where one
24 strategy that would work better in a more dense urban area,
25 different types of hospital sizes, volume, and those sorts

1 of things, but was infeasible or not cost-effective or had
2 negative consequences like an adverse effect on the
3 adequacy of the product supply in a more rural area, what
4 are the rules, if you will, about having two different
5 frankly standards of safety based on location?

6 DR. ALLEN: Basically our guidance for today is
7 that we do not -- the committee does not need to recommend
8 a specific strategy but to discuss pros and cons of the
9 options up there. What that suggests to me is that perhaps
10 the FDA will come out with a guidance that offers options.
11 I think our job is -- in other words, if you feel that
12 there are operational issues that mitigate against certain
13 options up there, be free to say that. On the other hand,
14 if there are circumstances that make that a reasonable or a
15 better choice, feel free to say that.

16 DR. LEWIS: My impression both from the data shown
17 and some of the comments that have been made by the
18 committee is that there's a direct tradeoff between the
19 degree of safety with respect to bacterial contamination
20 that can be achieved and the logistical difficulties, both
21 in terms of the complexity at the transfusion service, the
22 transportation issues, the shelf life and therefore on the
23 blood supply, or the component supply, and obviously the
24 lack of components can also cause harm to patients. So
25 these are competing risks, and so what I'm hearing is that

1 the committee might support some strategies in a setting in
2 which those logistical constraints are less impactful and
3 allow for other strategies to be admissible or acceptable
4 in settings in which those logistical or distribution
5 issues become paramount.

6 DR. ALLEN: Absolutely. I think that is a very
7 fair statement and certainly Dr. Schreiber has spoken
8 passionately about the need in rural settings where trauma
9 rather than treatment of patients with specialized
10 treatment of patients with cancers, you know, is the real
11 need. The product that is needed may be very different,
12 and the speed with which, the rapidity with which it's
13 needed, and the volume with which it's needed may be quite
14 different.

15 So I think the committee should feel quite free
16 to comment on all of those areas.

17 DR. LEITMAN: So I'm still, getting back to it,
18 troubled by the residual contamination rate at the time of
19 a secondary experimental culture with the large
20 proportional volume tests. So for institutions, I would
21 like to recommend that for institutions choosing to use
22 their proportional volume, aerobic culture, 24 to 36 hours,
23 they have an ongoing -- not hemovigilance, because that's
24 too broad a term, but an ongoing outdate culture system of

1 a reasonable proportion of their units to keep track of
2 what's being missed.

3 DR. ALLEN: Certainly that's why this strategy is
4 still -- or this option -- is still within the 5-day
5 storage, rather than the 7-day storage. Certainly it is an
6 area where perhaps further research is very important. I
7 would be fascinated to see what organisms are showing up at
8 the time of outdate and then look at the profile of those
9 organisms over time, because we might find that -- well,
10 it's an area where there's I think some opportunities for
11 research to help clarify what's going on.

12 Dr. Stramer?

13 DR. STRAMER: Yes, thank you. Sue Stramer. There
14 may be other flavors of this type of blood center providing
15 a ready-to-use platelet, whether it's within five days or
16 seven days, in the absence of secondary culture or rapid
17 testing that we haven't explored yet. I mean, this is only
18 one example. So it's one example that used the 3.8 percent
19 volume in an aerobic bottle only. So there may be equal or
20 better permutations of what a blood center can and should
21 do.

22 DR. ALLEN: Any further discussion on this option?
23 Let's move on then to the 5-day storage, pathogen reduction
24 technology.

1 Dr. Jones, do you want to speak before we move
2 onto the next?

3 DR. JONES: Sorry, yes. Before we move on, I had
4 a question about kind of comparing the operation-ability of
5 what blood centers might -- blood collection facilities for
6 minimal proportion sample volume versus large volume
7 delayed sampling, talk about flexibility is important,
8 given those options, but given that going to a 7-day
9 storage decreases outdating and perhaps we could talk about
10 it when we get to that, but get to large volume delayed
11 sampling, that the data appears to be more plentiful and
12 robust with the large volume delayed sampling, are there
13 blood collection facilities that would still prefer
14 operationally minimal proportion sample volume over large
15 volume delayed sampling?

16 DR. ALLEN: I think you have raised an interesting
17 question.

18 Dr. Stramer, do you want to address that?

19 DR. STRAMER: Well, I think the answer is
20 completely dependent on dating. You delay culture, it's
21 not really feasible with five days. It all really depends
22 on how creative you want to be, because we talked about the
23 way primary culture is done now. There's 24-hour platelet
24 hold, and then 12 hours post-inoculation, which
25 coincidentally adds up to 36 hours.

1 So if one negated the hold after inoculation of
2 platelets, I mean, I'm just talking about other options,
3 one could have a 36-hour hold, but the vast majority of
4 blood centers prefer to control platelets, see what grows
5 out in culture before distribution, to provide the
6 nightmare of having to recall platelets from hospitals or
7 hospital inventory, and hope that it wasn't transfused yet.

8 So I think the answer to Jefferson's question
9 really depends on dating. This is what the AABB statement
10 said. In order to include all three options for
11 enhancement of culture, doubling volume, anaerobic culture,
12 and delayed volume, you really need to go to a 7-day
13 dating.

14 DR. ALLEN: Dr. Jones, do you have another
15 question?

16 DR. JONES: I just wanted to add that bringing up
17 the point that some of these require something to be done
18 by the hospitals in terms of secondary testing and making
19 sure that we do have including minimal proportion sample
20 volume pathogen reduction technology and large volume
21 delayed sampling options that allow for the rural and small
22 hospitals to not require a burden on their part, and
23 including the recommendations considering those hospitals,
24 as well. So included in this comparison I just mentioned,
25 taking that into account as well.

1 Thank you.

2 DR. ALLEN: Thank you. All right, we are ready to
3 move on to pathogen reduction technology.

4 DR. LEITMAN: It certainly seems extremely safe in
5 terms of maximum insurance of not having any pathogens,
6 bacterial, viral, parasite, things you don't even think
7 about right now may come up in the future. So in terms of
8 safety, it's the most attractive method. But it's not
9 ready for primetime at many centers for all the reasons
10 that were discussed. It adds significantly to the cost and
11 to the labor involved in preparing the components. There's
12 a lot of labor involved in pathogen reduction.

13 So I don't know how many FTE have to be added,
14 but certainly some. So again, another advantage is that
15 it's done at the collection center and large collection
16 centers can of course absorb increases in FTE more than
17 smaller transfusion services. Certainly there's no
18 objection to implementing pathogen reduction technology in
19 terms of safety. It's an operation -- it's a cost and
20 operational consideration, I would think, for the facility.

21 DR. ALLEN: The cost issue is obviously -- it's a
22 strange one in our country, because we talk so much about
23 how healthcare is too expensive and we can't afford --
24 people can't afford the insurance premiums and so on. So
25 it really is an insurer decision in a way, whether they're

1 going to cover it, and I think we need some big -- some
2 open public discussion about what do we do? What are the
3 choices? How do we get there?

4 I was impressed with what I read and heard in
5 preparation for this and in the meeting today. I think
6 it's an exciting technology to move forward, but there seem
7 to be some drawbacks, and those probably need to be
8 addressed openly.

9 DR. SCHREIBER: When the conversation moved on to
10 other pathogens beyond just the bacterial pathogens, the
11 discussion about malaria and all these other diseases, that
12 -- if we recommended on that basis, then we would have to
13 recommend it for all blood products, because those issues
14 of malaria are going to be potentially problematic in red
15 cells and plasma and that's an argument to do it to every
16 single component.

17 DR. LEITMAN: There is no technical ability to do
18 that for red cells right now. So you can't require it.
19 But that's the -- the problem with this is you're doing it
20 to one component and not to the others. So if you are only
21 collecting a platelet apheresis component from a donor on
22 that collection procedure, it makes sense. But if you are
23 also collecting red cells in one of these instruments that
24 collects red cells, you can program it to do platelets,

1 plasma, or red cells. You're really not protecting the red
2 cells.

3 If you could not do testing, transfusion-
4 transmitted testing for the seven or eight other organisms
5 that you test for, there would certainly be a monetary
6 savings for platelet apheresis components if you could
7 collect by pathogen-reduction technology and then not do
8 all that safety testing.

9 DR. ESCOBAR: Miguel Escobar, from University of
10 Texas. So I do agree with this technology. I think the
11 data that they show from Europe is very robust. I think
12 from even 205 coming data. I don't think we should really
13 put it on the side. I think it should still be an option
14 here in the United States. I understand the cost, but
15 again, what is the benefit of having something like that?
16 I think it might be the future, and I think it should be
17 part of the options to be put here as a strategy to prevent
18 any morbidity and mortality for the transfusion of
19 platelets.

20 DR. ALLEN: Thank you. Any other comments on that
21 point?

22 Dr. Jones?

23 DR. JONES: Just a quick comment that we support
24 it being an option, one of the included options, based on
25 the data available.

1 DR. ALLEN: Thank you.

2 Dr. Stramer?

3 DR. STRAMER: I think as discussed earlier, there
4 are many things that need to happen to make pathogen
5 inactivation more accessible. So those have already been
6 stated, but I think it's worth just mentioning it again.

7 DR. ALLEN: Other comments or discussion on
8 pathogen reduction?

9 We'll move on to 7-day storage options. First is
10 primary culture plus secondary culture on day 4.

11 Dr. Leitman?

12 DR. LEITMAN: It does not address that particular
13 method, but I'd like to say that the survival studies, the
14 autologous indium or other radiolabeled in-vivo survival
15 studies of 7-day stored platelets showed this significant
16 drop-off in recovery and survival compared to 5-day and 5-
17 day compared to 3-day. So you're not transfusing a product
18 that's hemostatically as efficacious as a 5-day stored
19 product.

20 But it does have some hemostatic efficacy. So I
21 don't think that the committee should accept that a 7-day
22 stored platelet is terrific, because it's not. It's not as
23 good as 5, which is not as good as 3, which is not as good
24 as 2, which can't be transfused anyway, because you have to
25 store it for so long. But we have lost hemostatic efficacy

1 in the last 20 years of making platelet transfusions safer,
2 and 7 days is another hit.

3 So that doesn't answer the question of -- this is
4 the Irish blood transfusion data that primary culture plus
5 secondary culture on day 4. So this is a blood center in
6 that case testing their residual inventory and their data
7 was very convincing that that method significantly reduces
8 the likelihood of distributing a bacterially-contaminated
9 product.

10 So I think that that is an excellent option for a
11 blood center to perform. I think it would be -- the
12 transfusion service could do it as well. There's no data
13 on the transfusion service doing it, but they can establish
14 a secondary culture system as well, and then take it out to
15 day 7. I would again urge, as I did for the prior methods,
16 that there be a method for outdate culture, to continue to
17 look at the efficacy of that method.

18 DR. ALLEN: I think that's a very important
19 statement. Your earlier statements in terms of always we
20 need to look at how do we have the most effective platelet
21 transfusions possible? Safety is one part of that.
22 Freshness is one part of that. So all of these need to be
23 considered, and again, as I said earlier today, if I were a
24 patient who needed a platelet transfusion, I would want the
25 freshest ones available, for a variety of reasons.

1 DR. KAUFMAN: Actually, I think it might in some
2 ways depend a lot on the clinical context. So most of the
3 platelets that we give are for prophylaxis for patients
4 with having stem cell transplants or chemotherapy, and we
5 know for example if you give half a dose, that you get
6 actually the same rate of grade 2 or higher bleeding as if
7 you gave a whole dose or even a double dose, and we've seen
8 from some of the data from pathogen reduction studies that
9 essentially, even though we know that the CCI is lower and
10 there seems to be some damage to platelets in going through
11 pathogen reduction, the clinical outcomes in that
12 population are more or less the same as if you gave a
13 conventional component.

14 What there's less data on and where there really
15 may be a difference is in other situations more like
16 treating trauma patients or patients who are really acutely
17 bleeding, and there we are just starting to see some data,
18 but maybe chilled platelets work better than anything
19 that's kept at room temp and so on. But for the majority
20 of the platelets, going to the patients where they are just
21 getting a transfusion to a nonbleeding patient based on the
22 morning count, I guess I don't worry so much about the
23 platelet quality as I do for the acute bleeders.

24 DR. CALIENDO: Angie Caliendo, Brown. I would say
25 that if the center is going to think about doing either the

1 primary culture and secondary culture or secondary rapid
2 testing, they should really think long and hard about why
3 they would do that for only five days when they could do it
4 for seven days, and I think the point made about the
5 discussion about the quality of the platelet is one, but
6 the point made earlier, that's one of the values of all
7 this secondary testing is that it gives you a longer shelf
8 life. So I think that's an important consideration is if
9 you're going to do this and you're going to go through all
10 this work and all this expense, can you get the best bang
11 for your buck out of it by doing it for -- allowing the
12 storage to go for seven days.

13 DR. ALLEN: Dr. Jones?

14 DR. JONES: I just wanted to add support to
15 previous comments that using the data presented today,
16 including this as one of the options, we support that.

17 DR. ALLEN: Could you repeat that, the last
18 portion of your statement?

19 DR. JONES: With the current data that's been
20 presented today, we support this strategy as one of the
21 options.

22 DR. ALLEN: Thank you.

23 DR. LEITMAN: I might just point out the Irish
24 blood transfusion service did aerobic and anaerobic
25 bottles, both at primary and secondary. So if we think

1 that this is acceptable and considerably increases safety,
2 it does so in the context in which the Irish blood
3 transfusion service did it.

4 DR. ALLEN: Thank you.

5 Other discussion on the primary and secondary
6 culture 7-day?

7 All right, primary culture plus secondary rapid
8 testing, for 7-day. Comments? Much of what we already
9 said, it's an alternative option, it will probably become
10 better over time. Certainly something that is a viable
11 consideration, should not be excluded at this point. Other
12 comments? Okay.

13 And the last option there is large volume delayed
14 sampling, and again, this is -- they were taking what, 7 to
15 8 percent I think, of the total volume for testing at a
16 somewhat later period of time, single test, and again,
17 doing -- if I remember correctly -- both aerobic and
18 anaerobic culture.

19 Yes, Dr. Lewis?

20 DR. LEWIS: This again just strikes me as
21 something in which it is subject to false negatives due to
22 the lag phase and that the lag phase is more of an issue
23 with a longer period of storage. So whereas I think it's
24 possible -- it has been demonstrated that this decreases
25 the risk of contamination at the time of transfusion, I

1 think it's unlikely that this strategy can achieve the same
2 level of safety of some of the other strategies considered.

3 DR. ALLEN: Other comments or questions?

4 DR. HARRELL: Lizzie Harrell, Duke. I didn't
5 quite understand your comment; are you saying that if you
6 stored the sample, the platelets, for a longer period of
7 time, that they are not going -- I was thinking at the
8 organisms in the sample actually may proliferate over time
9 at room temperature over three or four days. I missed the
10 point. I was trying to make sure I understood you.

11 DR. LEWIS: Roger Lewis. My point is that the
12 variability in the lag phase means that at the time the
13 large volume delayed sampling is done, I believe there may
14 be clinically important pathogens that have not started to
15 replicate to a level that they'll be detected. So whereas
16 it's clear that you do increase your sensitivity with a
17 large volume delayed sampling, I think it's going to be a
18 relatively incremental improvement in safety and not get us
19 the same degree of additional safety that is at least
20 potentially achievable with secondary testing approaches on
21 the unit.

22 DR. ALVING: But on the other hand, they then
23 release it six hours -- they culture it 36 to 48 hours,
24 then release it at six hours after the culture, and then
25 continue to culture throughout seven days, with

1 notification to the hospitals if anything becomes positive.
2 So I guess that's what they're attempting to do. Or that's
3 what they are doing.

4 DR. LEWIS: Then I'm -- this is Roger Lewis. I
5 think I need to be corrected. My understanding of this
6 strategy was a larger volume at 36 hours and that was the
7 only test, and if that was the strategy, then I stand by my
8 comment, and if that was not the strategy and it includes
9 additional cultures, then I misspoke, misunderstood and
10 misspoke.

11 DR. ALVING: This is Barbara Alving. More
12 continued culture, not new cultures, but the continued
13 culture and surveillance.

14 Also, like to say that in the last, the closing
15 slide of the presentation from the Irish blood center, is
16 that they are considering a one-test 7-day strategy because
17 they feel that evidence suggests it's also safe, and there
18 would be time and cost saving advantages. So they are
19 looking at this for, you know, as well.

20 DR. FIELD: Dr. Field, Irish Blood Transfusion
21 Service. Consideration, we're always reviewing what we do
22 and always critical of what we're doing and review doesn't
23 mean to say we'll change.

24 DR. ALLEN: Thank you for the clarification.

25 DR. JONES?

1 DR. JONES: Jefferson Jones, CDC. I agree with
2 the theoretical comment that this is -- and it's not just
3 36 hours. It's 36 to 48 hours, and we didn't discuss the
4 Hema-Quebec data necessarily as well, but it's in our
5 packets, that we don't have the data to really know how
6 much delay you need to catch the lag phase and the data
7 coming from NHSBT is some of the largest data that we have,
8 and given the rarity of the event, having as much as data
9 as has been accumulated in the UK, I think this strategy is
10 more convincing.

11 DR. ALLEN: Thank you.

12 Dr. Stramer?

13 DR. STRAMER: I was just going to add as the
14 industry rep that industry does support all three of the 7-
15 day storage options.

16 DR. ALLEN: Thank you.

17 Any other comments or questions from the
18 committee? Dr. Leitman?

19 DR. LEITMAN: I have a question. So when a
20 secondary culture is done in the two studies that are up
21 there, one was day 3 for 5-day shelf life, one was day 4
22 for 7-day shelf life. For the secondary rapid testing, the
23 recommendation is within 24 hours of transfusion. Is that
24 starting on day 3 for both 5- and 7-day so one would test
25 day 3, day 4, day 5, day 6, and potentially day 7? Any of

1 those days, if one were planning on releasing, or is there
2 a hold until day 4 because that's similar to primary
3 culture plus secondary culture on day 4? When does the
4 rapid testing start? Either day 3, 4? Definitely day 3?
5 Doing -- can we advise based on the data we've seen?

6 DR. ALLEN: I don't have the answer. Dr. Jacobs,
7 you want to --

8 DR. JACOBS: Michael Jacobs, Case Western Reserve
9 University. At the 2012 ADCOM, the committee voted to do
10 secondary testing on day 4 and day 5. They were not
11 considering 7-day. They specifically voted not to do
12 secondary testing on day 3.

13 DR. CALIENDO: Angie Caliendo. So what about day
14 6 and 7? So you start on day 4, but if you want to use it
15 on day 7, do you keep testing every day?

16 DR. JACOBS: Again, that was not considered by the
17 committee, but the point to me is if you have 50 platelets
18 in inventory and you typically use 25 a day, you'll test 20
19 of them. When those 20 are used, you'll test another 20.
20 So it's not a question of testing all your platelets in
21 inventory. Conversely, if you go through them very fast,
22 you can test them as you use them.

23 DR. CALIENDO: Right, but I am concerned with
24 something Dr. Stramer said, which is it's clear there's
25 more growth at day 7 than at day 5. So if you were going

1 to do that, you would be in the position of potentially
2 having to test every day after day 4, depending on how you
3 manage your inventory. Is that right?

4 DR. JACOBS: The way the test is currently
5 approved, that is the case, but with each day, the number
6 of organisms is going to increase. That's certainly
7 possible if there's data to support this, which there isn't
8 at this stage. That you could test on day 5 and that
9 platelet would be good until day 7. But at this stage, the
10 requirement is every 24 hours.

11 DR. ALLEN: We are going to let the committee wrap
12 this discussion up, thank you.

13 Any other comments or questions? Dr. Leitman.

14 DR. LEITMAN: So this is an answer to this
15 question. Operationally, if you have a certain number of
16 day 7 units in inventory, just because you want to be cost
17 effective, you'll test those first and try to release them
18 that day. Then you'll go to day 6 stored. So first in,
19 it's FIFO, first in, first out. So you want to be smart in
20 terms of managing your inventory and operations. So on day
21 4, you would never test everything in inventory for that
22 day.

23 DR. ALLEN: Dr. Verdun? Any questions or anything
24 additional that you would like the committee to address?

25 No? Okay.

1 Dr. Jones, any final comment?

2 DR. JONES: No, thank you.

3 DR. ALLEN: All right, thank you for
4 participating. Any other comments from committee members?

5 Well, thank you all for your attendance, for your
6 diligent work. I think this has been both an enlightening
7 and fun session. I hope it's been useful to the FDA as
8 they move forward on their guidance. I think certainly
9 they heard that everyone who spoke was in favor of getting
10 the guidance completed as expeditiously as possible.

11 So again, thank you all for your work on this.

12 Mr. Emery, any final?

13 MR. EMERY: This is Bryan. I just wanted to thank
14 the committee and the speakers for their great information
15 that has been gathered into the FDA, and I appreciate
16 everyone coming. If there's anybody that needs help with
17 travel, Joanne outside will help you with the travel.
18 Anybody that needs help with the transportation to and from
19 the hotel, also Joanne has that information outside.

20 I hope to see everybody in the morning. We'll be
21 starting at 8 o'clock for July 19. Thank you.

22 DR. ALLEN: I now pronounce the July 19, 2018
23 Blood Products Advisory Committee adjourned for the day.
24 Thank you.

1 (Whereupon, the meeting was adjourned at 4:30
2 p.m.)
3