

**FOOD AND DRUG ADMINISTRATION (FDA)
Center for Biologics Evaluation and Research
(CBER)**

**153rd Meeting of the Vaccines and Related
Biological Products Advisory Committee**

**FDA White Oak Campus
Building 31, Great Room Salon B & C
Silver Spring, MD**

October 3, 2018

PARTICIPANTS

Kathryn Edwards, M.D.	Vanderbilt University School of Medicine
David Greenberg, M.D.	Sanofi Pasteur
Holly Janes, Ph.D.	Fred Hutchinson Cancer Research Center
Michael Kurilla, M.D., Ph.D.	National Health Institute
Myron Levine, M.D., D.T.P.H., F.A.A.P.	University of Maryland
Arnold Monto, M.D.	University of Michigan
Ofer Levy, M.D., Ph.D.	Boston Children's Hospital
Paul Offit, M.D.	The Children's Hospital of Philadelphia
H. Cody Meissner, M.D.	Tufts University School of Medicine
Paul Spearman, M.D.	Cincinnati Children's Hospital
Andrea Shane, M.D., M.P.H., M.Sc.	Emory University School of Medicine
Sheldon Toubman, J.D.	New Haven Legal Assistance Association
Geeta K. Swamy, M.D.	Duke University
Melinda Wharton, M.D., M.P.H.	National Center for Immunization and Respiratory Disease
Jacqueline Katz, Ph.D	National Center for Immunization and Respiratory Disease
Marion Gruber, Ph.D.	Food and Drug Administration (FDA)
Jerry P. Weir, Ph.D.	Food and Drug Administration (FDA)
Philip Krause, M.D.	Food and Drug Administration (FDA)
Zhiping Ye, M.D. Ph.D.	Food and Drug Administration (FDA)
Serina A. Hunter-Thomas, M.S.A., R.N.	Food and Drug Administration (FDA)
Joanne Lipkind, M.S.	Food and Drug Administration (FDA)
Prabhakara Atreya, Ph.D.	Food and Drug Administration (FDA)

TABLE OF CONTENT

OPENING REMARKS/CALL TO ORDER/INTRODUCTIONS.....	4
ANNOUNCEMENTS, CONFLICT OF INTEREST STATEMENT.....	10
INTRODUCTION AND PRESENTATION OF QUESTIONS	16
WORLD SURVEILLANCE PRESENTATION.....	26
NO OPEN PUBLIC COMMENTS REGISTERED	83
COMMITTEE DISCUSSION.....	83
VOTING ON RECOMMENDATIONS.....	116

1 **OPENING REMARKS/CALL TO ORDER/INTRODUCTIONS**

2

3 **MS. EDWARDS:** This Is Kathy Edwards. I'm very
4 pleased to welcome you to the Strain Selection Meeting
5 for the 2018 Southern Hemisphere Influenza Season. I
6 would particularly like to welcome our newest members,
7 Dr. Kurilla, Dr. Meissner and Dr. Swamy. This is
8 their first meeting and we all are very pleased to
9 have you with us.

10 I would like to go through the member list.
11 Just say your name and then please tell us where
12 you're from and your area of expertise.

13 I'm Kathy Edwards. I'm pediatric infectious
14 disease and the chair of the committee from Vanderbilt
15 University. David Greenberg, can you give us your
16 information?

17 **DR. GREENBERG:** Yes. David Greenberg,
18 pediatric infectious diseases, Sanofi Pasteur, the
19 industry representative.

20 **DR. EDWARDS:** Thank you, David. Holly Janes?

1 **DR. JANES:** Good morning. This is Holly
2 Janes. I'm a biostatistician. I work at the Fred
3 Hutch in vaccine evaluation and clinical trial
4 science.

5 **DR. EDWARDS:** Thank you. Lenny Friedland?

6 **MS. HUNTER-THOMAS:** Not here for this meeting,
7 Dr. Edwards.

8 **DR. EDWARDS:** Michael Kurilla?

9 **DR. KURILLA:** Mike Kurilla from National
10 Institutes of Health. I'm currently with the National
11 Center for Advancing Translational Sciences, formerly
12 with the National Institute of Allergy and Infectious
13 Diseases. I'm a pathologist by training, specialized
14 in infectious diseases and vaccine development.

15 **DR. EDWARDS:** Wonderful. We welcome you
16 greatly. Dr. Monto?

17 **DR. MONTO:** Arnold Monto. I'm in epidemiology
18 at the University of Michigan, School of Public
19 Health. I work in influenza and other respiratory
20 viruses.

21 **DR. EDWARDS:** Thank you. Ofer Levy.

1 **DR. LEVY:** Hello, this is Ofer Levy. I'm a
2 physician scientist at Boston Children's Hospital
3 where I attend on the pediatric infectious diseases
4 service. I'm a professor at Harvard Medical School in
5 pediatrics. I also direct the Precision Vaccines
6 Program at Boston Children's Hospital, which is a
7 platform to encourage collaboration of academia,
8 government and industry towards developing vaccines to
9 vulnerable population.

10 **DR. EDWARDS:** Thank you, Ofer. Cody Meissner.

11 **DR. MEISSNER:** Yes, I'm a professor of
12 pediatrics at Tufts University School of Medicine. I
13 have had an interest in various vaccines for a number
14 of years.

15 **DR. EDWARDS:** Thank you and welcome, Cody.
16 Paul Offit?

17 **DR. OFFIT:** Yes, I'm a professor of pediatrics
18 in the Division of Infectious Diseases at Children's
19 Hospital of Philadelphia, and a professor at the
20 University of Pennsylvania School Of Medicine.

21 **DR. EDWARDS:** Thank you, Paul. Paul Spearman?

1 **DR. SPEARMAN:** Hi, this is Paul Spearman. I'm
2 division director at Cincinnati Children's for
3 Pediatric Infectious Diseases. I'm an HIV virologist
4 and also work on other viruses and work closely with
5 our vaccine trials unit on clinical trials for many
6 vaccines.

7 **DR. EDWARDS:** Thank you, Paul. Andy Shane?

8 **DR. SHANE:** Hi, good morning. I am Andy
9 Shane. I'm an associate professor of pediatrics at
10 Emory University in Atlanta and the interim division
11 director. I'm also the hospital epidemiologist. I
12 have a long-standing interest in vaccines. Thank you.

13 **DR. EDWARDS:** Thank you. Geeta Swamy?

14 Welcome, Geeta.

15 **DR. SWAMY:** Thank you, good morning. I am a
16 faculty member at Duke University in obstetrics and
17 gynecology in maternal-fetal medicine. My area of
18 interest is in maternal immunization and women's
19 immunizations across the board for adult diseases as
20 well.

21 **DR. EDWARDS:** Thank you, Geeta. Sheldon

1 Toubman?

2 **DR. TOUBMAN:** Good morning. I'm an attorney
3 with New Haven Legal Assistance Association in New
4 Haven, Connecticut. My area is health law and
5 particularly Medicaid law. I have no technical
6 background, which is why I'm actually the consumer
7 representative for this committee.

8 **DR. EDWARDS:** Thank you. Melinda Wharton?

9 **DR. WHARTON:** Hi, I'm an adults' infectious
10 disease physician and I'm director of the immunization
11 services division at the Centers for Disease Control
12 and Prevention in Atlanta.

13 **DR. EDWARDS:** Thank you, Melinda. Tammy
14 Beckham? Serina, she will not be able to join us, is
15 that correct?

16 **MS. HUNTER-THOMAS:** That is correct.

17 **DR. EDWARDS:** Okay, great. Have I forgotten
18 anybody? I will introduce the speakers, and would you
19 like to introduce the FDA participants please?
20 Introduce themselves.

21 **DR. GRUBER:** Hello, my name is Marion Gruber.

1 I'm the director of the Office of Vaccines, Research
2 and Review at CBER.

3 **DR. EDWARDS:** Thank you, Marion.

4 **DR. WEIR:** And this is Jerry Weir. I'm the
5 director of the Division of Viral Products at CBER.

6 **MS. HUNTER-THOMAS:** And we also have Dr.
7 Prabhakara Atreya who is the director of Division of
8 Scientific Advisors and Consultants. And I am Serina
9 Hunter-Thomas, the Designated Federal Officer for this
10 advisory committee. Thank you.

11 **DR. EDWARDS:** Thank you. Serina, would you
12 like to read the housekeeping and conflict of interest
13 now that we have had our call to order and
14 introduction of the committee members?

15 **MS. HUNTER-THOMAS:** Sure. First though, I
16 would like to check and see if Dr. Jacqueline Katz is
17 on the line. Jackie?

18 **DR. KATZ:** Yes, hi, Serina, I'm here.

19 **MS. HUNTER-THOMAS:** Hi. Good morning. Would
20 you like to introduce yourself before I go on with
21 housekeeping?

1 **DR. KATZ:** Sure. I am the deputy director of
2 the Influenza Division here at the CDC. And I'm also
3 the director of the WHO Collaborating Center in
4 Atlanta at the CDC for influenza.

5 **MS. HUNTER-THOMAS:** Thank you. Dr. Edwards,
6 if I may, I'll proceed with the housekeeping and the
7 conflict of interest statement.

8 **DR. EDWARDS:** Please, thank you so much.

9

10 **ANNOUNCEMENTS, CONFLICT OF INTEREST STATEMENT**

11

12 **MS. HUNTER-THOMAS:** Thank you. Good morning
13 again everyone. My name is Serina Hunter-Thomas. I'm
14 the Designated Federal Officer for the 153rd VRBPAC
15 meeting.

16 The committee management specialist for this
17 meeting is Ms. Joanne Lipkind and the committee
18 management officer for this meeting is Ms. Casey
19 Stewart.

20 On behalf of the FDA, the Center for Biologics
21 Evaluation and Research, and VRBPAC, we would like to

1 welcome everyone to this meeting.

2 Today's session has one topic that is open to
3 the public in its entirety. The meeting topic is
4 described in the federal register notice that was
5 published on August 16, 2018. The press media
6 representative for today's meeting is Ms. Megan
7 McSeveney. The transcriptionist for this meeting
8 today is Ms. Linda Giles.

9 I would like to remind everyone to please
10 first state your name when you are speaking and making
11 a comment and project, because all of the committee
12 members are joining us remotely today. I will now
13 proceed with the conflict of interest statement.

14 The Food and Drug Administration is convening
15 today, October 3, 2018, for the 153rd meeting of the
16 Vaccines and Related Biological Products Advisory
17 Committee under the authority of Federal Advisory
18 Committee Act of 1972. The entire meeting will be
19 conducted in open session, during which the committee
20 will discuss and make recommendations on the selection
21 of strains to be included in an influenza virus

1 vaccine for the 2019 Southern Hemisphere influenza
2 season.

3 This topic is determined to be a particular
4 matter involving specific parties. With the exception
5 of the industry representative, all participants of
6 the committee are special government employees or
7 regular federal government employees, from other
8 agencies, and are subject to the Federal Conflict of
9 Interest laws and regulations.

10 The following information on the status of
11 this advisory committee's compliance with Federal
12 Ethics and Conflict of Interest laws, including but
13 not limited to, 18 U.S. Code § 208, is being provided
14 to participants at this meeting and to the public.
15 This conflict of interest statement will be available
16 for public viewing at the registration table.

17 Related to the discussions at this meeting,
18 all members and consultants of this committee have
19 been screened for potential financial conflict of
20 interests of their own, as well as those imputed to
21 them, including those of their spouse or minor

1 children, and for the purposes for 18 U.S. Code § 208,
2 their employers.

3 These interests may include investments,
4 consulting, expert witness testimony, contracts and
5 grants, CRADAs, teaching, speaking, writing, patents
6 and royalties, and primary employment. The FDA has
7 determined that all members of this advisory committee
8 are in compliance with federal ethics and conflict of
9 interest laws.

10 Under 18 U.S. Code § 208, Congress has
11 authorized the FDA to grant waivers to special
12 government employees and regular government employees
13 who have financial conflicts when it is determined
14 that the Agency's need for a particular individual's
15 service outweighs his or her potential financial
16 conflict of interest.

17 However, based on today's agenda and all
18 financial interests reported by members and
19 consultants, no conflict of interest waivers were
20 issued under 18 U.S. Code § 208.

21 Dr. David Greenberg is currently serving as

1 the industry representative to this committee. Dr.
2 Greenberg is employed by Sanofi Pasteur. Industry
3 representatives act on behalf of all related industry
4 and bring general industry perspective to the
5 committee. Industry representatives are not appointed
6 as special government employees and are non-voting
7 members of the committee. Hence, industry
8 representatives are not screened and do not
9 participate in the closed sessions and do not have
10 voting privileges.

11 Mr. Sheldon Toubman is serving as the consumer
12 representative for this committee. Consumer
13 representatives are appointed special government
14 employees and are screened and cleared prior to their
15 participation to the meeting. They are voting members
16 of the committee, and hence, do have voting
17 privileges, and they do participate in the closed
18 sessions if they are held.

19 Dr. Jacqueline Katz is employed by the Centers
20 for Disease Control and Prevention, Nation Center for
21 Immunization and Respiratory Diseases. She is an

1 expert in influenza virus disease and influenza virus
2 vaccines, and internationally known for these
3 achievements. Dr. Katz is a regular government
4 employee and serves as the speaker for this meeting.
5 She is not a voting member for this committee.

6 At this meeting there may be regulated
7 industry speakers and others outside organization
8 speakers making presentations. These participants may
9 have financial interests associated with their
10 employer and with other regulated firms. The FDA asks
11 that in the interest of fairness, that they address
12 any current or previous financial involvement with any
13 firm whose product they may wish to comment upon.
14 These individuals were not screened by the FDA for
15 conflict of interest.

16 The FDA encourages all other participants to
17 advise the committee of any financial relationships
18 that they may have with any firms, its products, and
19 if known, its direct competitors. We would like to
20 remind members, consultants, and participants that if
21 the discussions involve any other products or firms,

1 not already on the agenda for which an FDA participant
2 has a personal or imputed financial interest, the
3 participants need to inform the DFO and exclude
4 themselves from such involvement, and their exclusion
5 will be noted for the record.

6 This concludes my reading of the conflicts of
7 interest statement for the public record and at this
8 time I would like to turn the meeting back over to Dr.
9 Edwards, the Chair. Thank you.

10 **DR. EDWARDS:** Thank you so much, Serina. We
11 would now like to introduce Dr. Jerry Weir who will
12 introduce and present the questions that we will be
13 addressing today. Dr. Weir is the director of the
14 Division of Viral Products at the Office of Vaccines
15 Research and Review at CBER/FDA.

16

17 **INTRODUCTION AND PRESENTATION OF QUESTIONS**

18

19 **DR. WEIR:** Thank you. I'm going to provide a
20 brief introduction and a little background, especially
21 for those of you who are new to this process. Then as

1 Dr. Edwards said, I'll present the questions at the
2 very end. If we can go to the next slide, the second
3 slide?

4 The purpose of today's VRBPAC Committee
5 discussion is to make recommendations for the strains
6 of influenza A (H1N1 and H3N2) and the B viruses to be
7 included in the 2019 Southern Hemisphere formulation
8 of influenza vaccine licensed in the United States.
9 The next slide?

10 As a little bit of background, the World
11 Health Organization makes recommendations for the
12 virus strains to be included in influenza vaccines two
13 times a year. The recommendations for the Northern
14 Hemisphere are made in February or March, and the
15 recommendations for the Southern Hemisphere are made
16 in September.

17 Even though the WHO makes these
18 recommendations, each national regulatory authority
19 must approve the composition and formulation of
20 vaccines in each country. For us in the United
21 States, this committee, the VRBPAC, provides

1 recommendations for US licensed vaccines. In February
2 or March, we do this for vaccines to be used in the
3 Northern Hemisphere influenza season. This is for us,
4 the US influenza season.

5 The FDA/CBER approves the licensed supplements
6 for each manufacturer to incorporate updated strain
7 recommendations. For the Northern Hemisphere we
8 usually do this in June or July preceding the
9 influenza season. However, in 2016, a US vaccine
10 manufacturer was approved to produce a Southern
11 Hemisphere formulation of their influenza vaccine.
12 This is an egg-based vaccine.

13 We feel that it's important that strain
14 recommendations and supplement approval for the
15 Southern Hemisphere formulations for anyone in the US
16 -- any US manufacturer -- follow the same Northern
17 Hemisphere process. That being said, our process and
18 what we do today in the VRBPAC is a somewhat
19 streamlined version of what we do in February or March
20 for the real Northern Hemisphere season. Go to the
21 next slide.

1 What you will hear in Dr. Katz's presentation
2 will be several different types of data and analyses.
3 They will include the epidemiology of circulating
4 strains, surveillance data from both the US and around
5 the world. This is summarized from the most recent
6 WHO Southern Hemisphere strain selection consultation
7 which occurred last week.

8 You'll also hear about antigenic relationships
9 among contemporary viruses and candidate vaccine
10 strains. This will include data such as
11 hemagglutination inhibition and virus neutralization
12 tests. Also, HI and virus neutralization tests using
13 panels of sera from humans whom have received recent
14 inactivated influenza vaccines. And you'll probably
15 see some data on antigenic cartography, as well as
16 phylogenetic analyses of HA -- hemagglutinin and
17 neuraminidase genes. Next slide.

18 In the next three slides I'm going to briefly
19 review what we've done in the strain selection
20 meetings of VRBPAC over the last year. About a year
21 ago, in September, the WHO made a recommendation for

1 the Southern Hemisphere 2018. At that time the WHO
2 recommended that the following viruses be used for a
3 trivalent influenza formulation. They recommendation
4 an A/Michigan/45/2015 pandemic 2009-like virus, an
5 A/Singapore/INFIMH-16-0019/2016 H3N2-like virus, and a
6 B/Phuket/3073/2013-like virus, which was from the
7 B/Yamagata lineage.

8 They also recommended that the quadrivalent
9 vaccines containing two influenza B viruses contain
10 those three viruses, as well as a B/Brisbane/60/2008-
11 like virus from the B/Victoria lineage. The VRBPAC
12 met a few days later on October 4th, and the VRBPAC
13 recommended for any US manufacturer that would make a
14 Southern Hemisphere formulation to use the same
15 formulation that the WHO had recommended the previous
16 week. You can go to the next slide.

17 In the most recent Northern Hemisphere
18 recommendation for the upcoming 2018-2019 Northern
19 Hemisphere season was done earlier this past winter.
20 The WHO recommendation was made on February 22nd, and
21 that recommendation was that the following viruses be

1 used for trivalent influenza vaccines for the 2018-
2 2019 influenza season for the Northern Hemisphere.

3 Again, an A/Michigan/45/2015 H1N1 pandemic
4 2009-like virus, an A/Singapore/INFIMH-16-0019/2016
5 H3N2-like virus, and a B/Colorado/06/2017-like virus
6 from the B/Victoria lineage. The recommendation last
7 February was that quadrivalent vaccines contain those
8 strains, plus B/Phuket/3073/2013-like virus from the
9 B/Yamagata lineage. VRBPAC met shortly after and on
10 March 1st made the same recommendation as the WHO
11 recommendation. Next slide.

12 Most recently last week, there was a WHO
13 recommendation for Southern Hemisphere vaccines. This
14 last week they recommended that egg based trivalent
15 vaccines for use in the 2019 Southern Hemisphere
16 influenza season contain the following. Again, an
17 A/Michigan/45/2015 H1N1 pandemic-like virus, an
18 A/Switzerland/8060/2017 H3N2-like virus, and a
19 B/Colorado/06/2017-like B/Victoria virus.

20 They also recommended that egg-based
21 quadrivalent vaccines containing two influenza B

1 viruses contain those three viruses and a
2 B/Phuket/3073/2013-like virus from the B/Yamagata
3 lineage. Next slide.

4 Today we will have a presentation by Dr. Katz
5 and then the committee will discuss which influenza
6 strains should be recommended for antigenic
7 composition of the 2019 Southern hemisphere
8 formulation of any influenza virus vaccine produced by
9 a licensed US manufacturer. Next slide.

10 We try for the Southern Hemisphere for PAC and
11 recommendations to make this a little simpler than for
12 the Northern Hemisphere, and so what we'd like to do
13 is just have two voting questions. One will be for a
14 composition of a trivalent 2019 Southern Hemisphere
15 formulation containing the three strains recommended
16 by the WHO and do that as one voting question. And
17 then a second voting question for the inclusion of a
18 second B strain from the quadrivalent vaccines.

19 You can see the questions here. We'll bring
20 them back up at the time the committee gets ready to
21 discuss. I think that's it.

1 **DR. MEISSNER:** This is Cody Meissner. I
2 wonder if I can ask a question.

3 **DR. EDWARDS:** Sure, go ahead.

4 **DR. MEISSNER:** Can you give us a brief history
5 of how frequently the vaccine used in the Southern
6 Hemisphere is different from the vaccine used in the
7 Northern Hemisphere?

8 **MS. HUNTER-THOMAS:** I'm sorry, was that
9 question posed to Dr. Weir or to Jackie Katz? Dr.
10 Katz?

11 **DR. MEISSNER:** I think it was --

12 **DR. EDWARDS:** Dr. Weir probably.

13 **DR. MEISSNER:** Yes, I was addressing Dr. Weir
14 because of his presentation, but whoever -- if anyone
15 has some of that information I would be interested.

16 **DR. WEIR:** This is Jerry. I'll start. I
17 don't know the exact frequency, but the influenza
18 viruses are always changing, so it's quite common to
19 see an update. In fact, if you looked at all of the
20 strains that I put in for the last three WHO and
21 VRBPACs, you'll see that there has been an update for

1 the H3 for the Southern Hemisphere now, compared to
2 what the Northern Hemisphere used previously.

3 In the Northern Hemisphere recommendation last
4 February, there was a change of the recommended B
5 strain to be Colorado. I think previously the
6 Southern Hemisphere, the Singapore strain was first
7 recommended for the Southern Hemisphere before it was
8 later incorporated to the Northern Hemisphere. So,
9 it's sort of always changing.

10 I would say they differ well, almost -- fairly
11 frequently there is a change in the Southern
12 Hemisphere that would then be sometimes followed by a
13 similar change in the Northern Hemisphere.

14 Jackie Katz might have more of an idea of how
15 often it happens.

16 **DR. KATZ:** I would say I agree with Jerry that
17 it's fairly frequent in the last number of years,
18 primarily because a new variant is identified in
19 either the Southern Hemisphere or Northern Hemisphere
20 seasons and then is recommended as a vaccine
21 component. And then the complimentary Southern or

1 Northern Hemisphere decision often takes up that same
2 recommendation.

3 But as the viruses change, there is sort of a
4 rolling cycle of the components changes. It's quite
5 frequent I would say that they are not identical. And
6 also, the recommendation for what is put in the
7 trivalent can change and be different from Southern to
8 Northern Hemisphere.

9 **DR. EDWARDS:** Thank you, Jackie. Are there
10 any other questions?

11 Serina, does the hand raising work on the
12 website so that if people want to ask a question they
13 can punch the hand raising site, or does that not
14 work?

15 **MS. HUNTER-THOMAS:** It does work, or if you
16 just want to interject verbally. Either way is fine,
17 we're flexible.

18 **DR. EDWARDS:** Great. Are there any other
19 questions of Dr. Weir? If not, then I would like to
20 introduce our next speaker, who actually needs no
21 introduction as she is a real world leader in

1 influenza surveillance.

2 Jackie Katz is deputy director of the
3 Influenza Division and the director of WHO
4 Collaborating Center for Surveillance at the National
5 Center for Immunization and Respiratory Diseases at
6 the Centers for Disease Control. Jackie?

7

8 **WORLD SURVEILLANCE PRESENTATION**

9

10 **DR. KATZ:** Thank you, Kathy. I don't think I
11 can point to any of the slides, is that right? I'll
12 just ask for the slides to be forwarded.

13 **MS. HUNTER-THOMAS:** That's fine, Dr. Katz.

14 **DR. KATZ:** All right. Thanks. If we can move
15 to slide 2 and the numbers are on the slides on the
16 lower right-hand side. They are fairly small, but
17 hopefully you can see them.

18 Just the background that was already
19 introduced by Dr. Weir, this is work conducted by the
20 Global Influenza Surveillance and Response System of
21 WHO. This is a network of labs that are comprised by

1 six collaborating centers. One hundred forty-four
2 national influenza centers, four essential regulatory
3 laboratories, and multiple H5 reference labs, and we
4 conduct year round surveillance for both seasonal and
5 novel influenza viruses.

6 Last week in Atlanta was the first time we
7 held a vaccine composition meeting in Atlanta at the
8 CDC. We deliberated for three days and reviewed the
9 data and came to our conclusions and recommendations
10 that were then presented to industry on the 27th, last
11 Thursday.

12 The group deliberating and making
13 recommendations included the nine advisors. I chaired
14 the meeting this time. And then we had 38 observers,
15 many from different national influenza centers,
16 obviously other collaborating centers, ERLs, and also
17 our academic and veterinary partners, and other
18 government partners. Next slide.

19 This is just an overview of all of the viruses
20 and samples that have been processed by GISRS for the
21 period we're talking about, which is February through

1 August or September, depending on when the viruses
2 were collected. You can see here the red line
3 indicates 2018 and you can see that early on in the
4 season -- which reflects, of course, the Northern
5 Hemisphere season -- the numbers of specimens
6 processed by GISRS have reached an all-time high.
7 This continues to be the case, particularly in the
8 Northern Hemisphere.

9 As we taper off and move toward the Southern
10 Hemisphere, just because of the nature of the fewer
11 countries and fewer surveillance sites, these numbers
12 diminish. If you'll turn to the next slide, slide 4?

13 This is looking now at WHO's data on the
14 global circulation of influenza viruses. This is data
15 that comes into their FluNet system that is reported
16 by all of the countries involved in GISRS. On the
17 right-hand side you can see the current year, the
18 season through 2017-2018, the robust Northern
19 Hemisphere season with the peak there around week four
20 or five, and then it tapers off.

21 What I just want you to notice is towards the

1 right-hand side, we see quite a flattening. And
2 comparing it to the left-hand side of the slide, the
3 2016-2017 season, you can see that the bump that
4 occurred in weeks 21 through the end of week 35-36,
5 it's much lower than the Northern Hemisphere but
6 nonetheless, there was this robust bump. Most of it
7 H3N2 viruses that we saw in last year's Southern
8 Hemisphere season. By comparison and contrast, this
9 year overall has been quite low activity. Next slide.

10 Among the viruses that were sent to WHO, this
11 is the proportion by type and subtype. You can see in
12 the orange there, roughly 50 percent of the viruses
13 were influenza B viruses, and 50 percent were type A
14 influenza. With the majority of those that had been
15 subtyped, were (H1N1)pdm09 viruses. A much lower
16 proportion of H3 and 2 viruses circulating, compared
17 with what we saw last year. Next slide.

18 This just shows you similar pie charts, but
19 now represented by the different regions and
20 countries. You can see globally in the pale blue, if
21 you just look over all of the pies, you can see that

1 there is quite a lot of pale blue. That's
2 representing the (H1N1)pdm09 viruses, particularly in
3 Central South America, parts of Africa, and Asia.

4 In contrast, the influenza B during this
5 period, since February, was more predominant in North
6 America, Western Europe, and some parts of Asia. Next
7 slide.

8 This just shows the number of viruses that
9 actually got sent to collaborating centers and were
10 characterized by the genetic sequence analysis or by
11 antigenic analysis. You can see the current period is
12 shown in the green bars and that there is more H1
13 pdm09 viruses and the influenza virus is compared with
14 H3 for this period. Next slide.

15 Now I'll be talking about the characterization
16 of the (H1N1)pdm09 viruses. Next slide, please.

17 This shows you again the global distribution
18 using WHO's activity breakdown where they break it
19 down based on the epidemiologic activity reports by
20 country. You can see the darkest red is widespread
21 activity, and then the lighter the red or orange

1 color, the lower the level of activity. But you can
2 see for (H1N1)pdm09 that there was quite a bit of
3 widespread and regional activity throughout Mexico and
4 South America and then in parts of West Asia and North
5 and South Africa. Next slide, please.

6 This is now the number of (H1N1)pdm09 viruses
7 that came into the GISRS network. You can see that
8 they were lower than in the 2016 season, which was a
9 big (H1N1)pdm09 season. Nevertheless, higher than
10 other seasons in the Northern Hemisphere, and somewhat
11 higher for the more recent weeks of the year. Next
12 slide, please.

13 This is the number of viruses that actually
14 were characterized by the different collaborating
15 centers. You can see CDC in the US and the Crick in
16 London, and VIDRL in Australia had more H1N1s in this
17 period than in previous periods. Next slide, please.

18 This is a phylogenetic tree of the
19 hemagglutinin gene. And this includes all sequence
20 information available in the GISAID database for this
21 period. The different viruses are color coded by the

1 region of the world that they are collected from. You
2 can see that small map on the lower left tells you --
3 so the dark blue is North America, green is Europe,
4 and then the other colors -- the sort of pinkish color
5 -- is Oceania, orange is Africa, and so forth.

6 You can see I've tried to simplify this. If
7 you look all the way down the bottom you'll see the
8 Michigan/45/2015 virus in red, so that's the current
9 vaccine component for both the past Southern and
10 Northern Hemisphere vaccines. Essentially, all of the
11 viruses that were collected during this period, with
12 just one or two exceptions, still belong to the 6B.1
13 genetic subgroup.

14 Since about a year ago, these viruses have
15 also included additional changes, which you'll see
16 down at the very base of the tree. I'm sure you can't
17 read it, but there are substitutions including a
18 substitution at 164T. This is sort of a signature of
19 the currently circulating viruses.

20 In addition, what we've seen in this past
21 period is there is increasing genetic diversity of the

1 HA genes of viruses. Over 50 percent of viruses have
2 acquired a change at Residue 183 from a serine to a
3 proline, and this is being introduced multiple
4 independent times. With each group, there are
5 different additional amino acids that accompany that
6 change.

7 In addition, at the top of the tree you'll see
8 highlighted and boxed in, a change at 120. That's
9 being seen quite a bit in Europe and now, increasingly
10 in South America in their season. Again, the
11 proportion of each of these different groups is rather
12 small. There is one more additional subgroup all the
13 way at the bottom with a signature of a change at 138
14 and 272. This group is really only seen in Asia,
15 particularly in China and accounts for about one or
16 two percent of the viruses circulating.

17 Although there is a change in the diversity
18 that we're seeing, there is no clear winner. There is
19 no clear genetic subgroup that is taking over. And
20 so, we're carefully watching as these viruses continue
21 to emerge and to determine whether one or other of

1 these subgroups that contain the 183P change or the
2 120 change, become a majority population. Next slide.

3 This is just another example. I put this in
4 because it shows data from the Australian
5 Collaborating Center. And you can see that many of
6 the viruses from Australia, Southeast Asia, and New
7 Zealand fall along the same lines. Again, they're all
8 6B.1 viruses. Many of them have this 183P change,
9 which is about a third to half a way down that tree.
10 But then there's multiple different changes and little
11 subgroups, none of which are really taking the lead.
12 No particular subcluster is winning the race at this
13 point. Next slide please.

14 Now we're looking at the antigenic analysis of
15 H1 viruses. This is hemagglutination inhibition assay
16 data from the Melbourne Collaborating Center. Just to
17 orient you again, what we do here is we identify
18 reference viruses that represent the different genetic
19 groups that we've been seeing and the ones I've talked
20 about here. We raise ferret antisera to these
21 reference viruses.

1 Along the top of this table are the different
2 ferret antisera that have been raised against the
3 viruses, which are the reference antigens along the
4 first column there. We compare the ability of
5 circulating viruses, or the test viruses, to react
6 with these different ferret antisera. We compare
7 their reactivity with the homologous titre of the
8 reference virus, which was used to raise that
9 antiserum.

10 Here we have virus from Australia, New
11 Zealand, and a couple from the Philippines and -- I
12 think that mostly covers it. If you look at the two
13 columns that are highlighted in the darker yellow, the
14 darker yellow is ferret antiserum raised to the egg-
15 grown reference virus representing the vaccine
16 composition virus, Michigan/45, and you can see there
17 it has a homologous titre of 1280.

18 If you just cast your eye down the test
19 viruses down that column, you can see that all of
20 these viruses are recognized by this sera very well,
21 within twofold of that homologous titre. And

1 similarly, if you look at the next column over, this
2 is now ferret antisera to Michigan/45 that's been
3 grown exclusively in cell culture. And we see the
4 same thing, that the test viruses are all reacting
5 with this serum similar to how the actual reference
6 virus, the homologous cell-propagated Michigan/45
7 virus react to titres of 2560. If you look at the
8 other antisera, essentially, the reactivity of the
9 test viruses are the same as what I've just explained
10 to you, indicating that we're not seeing any antigenic
11 differences with the test viruses. Next slide please.

12 This is another hemagglutination inhibition
13 table, this time from CDC's laboratories. I just
14 wanted to highlight here, we're seeing exactly the
15 same thing. If we look at our ferret antisera along
16 the top there, either two egg- or cell-propagated
17 Michigan/45. If you look three columns over, there's
18 an antisera raised to New Jersey/13. That is a virus
19 that contains that substitution at 183. But again,
20 this antisera does not discriminate and does not show
21 any antigenic differences with either the reference

1 viruses or the test viruses.

2 And finally, we also wanted to look with a
3 pool of post-vaccination sera from adults that
4 received the 2017-18 vaccine that contained the
5 Michigan/45 component. If you'll look, that's
6 highlighted on the right-hand side in the pale yellow.
7 If you look at the titres against the Michigan/45
8 reference viruses there, either 640 or 320, and you
9 can see that all the test viruses roughly have similar
10 reactivity with that pooled post-vaccination human
11 serum, suggesting that that serum is also not
12 detecting any antigenic changes. Next slide.

13 This is just antigenic cartography. Again,
14 this goes back to the HI data we produced that all of
15 the collaborating centers produce using ferret
16 reference antisera and you can --

17 **MS. HUNTER-THOMAS:** Dr. Katz.

18 **DR. KATZ:** Yes.

19 **MS. HUNTER-THOMAS:** The voice has dropped.
20 Did you change position or anything?

21 **DR. KATZ:** Okay. I'm leaning. Maybe I

1 stopped leaning in. I'll lean in a little more. Is
2 that better?

3 **MS. HUNTER-THOMAS:** Yes, much. Thank you.

4 **DR. KATZ:** Okay. The antigenic cartography
5 slide. We're on slide 16, just demonstrates that
6 viruses isolated from September 2017, to the current
7 period August 2018, shown in yellow, are really in the
8 antigenic cartography look exactly the same as the
9 viruses in blue from the previous period. Again, this
10 is in reference the big red dot represents the
11 Michigan/45 cell-propagated virus. This is again
12 telling us that using ferret reference antisera, we're
13 not seeing any antigenic differences. Next slide
14 please.

15 This is a summary of all of the antigenic data
16 for the collaborating centers. This first table is
17 comparing all of the circulating viruses tested, so
18 over 1900 viruses, compared with the antiserum raised
19 to the Michigan/45 virus propagated in eggs. You can
20 see that we're seeing a vast majority -- 98 percent --
21 of these viruses reacting like the Michigan/45 egg-

1 propagated virus. Next slide please.

2 If we now do that same comparison but looking
3 at Michigan/45 cell-propagated virus, we see again
4 that we're getting 99 percent of over 2000 viruses
5 tested that are antigenically like the cell-propagated
6 Michigan/45 virus. Next slide.

7 As you know, the third component of what we do
8 to characterize these virus is also take human serum
9 panels from individuals that have received the most
10 recent influenza vaccine component. In this slide --
11 this is data from one collaborating center from CDC.
12 We looked at antisera from panels of individuals,
13 adults or elderly; the adults are in yellow for
14 Australian panels, green for a Southern Hemisphere
15 panel from Peru, and blue is actually the Northern
16 Hemisphere -- the last Northern Hemisphere 2017-18
17 serum panels. But we used pediatric population
18 because it still contained the Michigan/45 component.
19 We didn't have a pediatric panel for the Southern
20 Hemisphere.

21 We used all of these panels and looked at a

1 number of different representative viruses that
2 represent the different genetic groups we're seeing
3 and circulating globally.

4 **DR. LEVY:** I have a quick question. It's Ofer
5 Levy. Is it okay to ask a question?

6 **DR. KATZ:** Sure. Yeah.

7 **DR. LEVY:** Why is it that we don't have some
8 of that pediatric data?

9 **DR. KATZ:** Because the WHO collaborating
10 centers don't have a pediatric panel available.
11 Australia historically has not done it; although,
12 they're now looking into providing that panel and
13 hopefully next year they will be able to. It's just
14 an access issue and we're trying to rectify that, but
15 getting these panels is challenging.

16 **DR. LEVY:** By getting the panel you mean
17 having access to cohorts who can then be studied?

18 **DR. KATZ:** Correct. Yeah. And having the
19 resources in-country and identifying a group that can
20 conduct these studies and provide these panels. They
21 need to be provided in a very timely manner because we

1 really need them to be vaccinated at the very earliest
2 in the particular season.

3 **MS. EDWARDS:** Please make sure that you
4 identify yourself before a question. The last
5 questioner? Was that Ofer? No.

6 **DR. LEVY:** Yes, I did. I said this is Ofer.
7 I'm sorry.

8 **MS. EDWARDS:** No, no, no, you did. But then
9 was there another person that asked a question after
10 that that didn't identify themselves? No, just please
11 do so that we know who's speaking. Thank you. Go
12 ahead, Jackie.

13 **DR. KATZ:** Okay. Thanks. If we look at this
14 panel, we can see that if we look -- so this panel is
15 comparing the response that was obtained to the
16 reference Michigan/45 virus grown in cells. We do
17 this because most of our test viruses are also grown
18 in cell culture. You can see if you look at the
19 pediatric panel, and then we also had an older
20 pediatric panel. So, the pediatric was kids under 3
21 years of age. Older pediatric was 5-18 years of age.

1 And then the adults are 18-64 years of age, elderly 65
2 and older.

3 But you can see in general that compared with
4 the response or the GMTs that we get to the cell-
5 propagated Michigan/45/MDCK grown virus, we're not
6 seeing any reductions below that 50 percent line.
7 That 50 percent line is an arbitrary threshold that we
8 use to identify reduced responses. If our geometric
9 mean titres for any given virus are below that
10 threshold, they are considered significantly reduced
11 compared to the vaccine reference virus.

12 Really the only reduction we were seeing, you
13 could see at the far right-hand side, is the virus
14 Switzerland/3330 and this is a virus that did have the
15 183 change and it had some additional changes. But
16 unfortunately, because we have to do additional
17 passaging for these in order to test against all of
18 the panels, this particular virus had acquired yet
19 another substitution that was not in the wild type
20 virus and it was in a significant antigen excite. So,
21 we can't really infer anything from that data.

1 Although it's reduced, it may well be because of that
2 introduced mutation which isn't the actual wild-type
3 virus. Next slide.

4 This is slide 20 now. This is now a
5 compilation of all the data from all of the six
6 testing laboratories that do the human serology
7 analysis. This time we're comparing to the egg-
8 propagated Michigan/45 reference virus. Here the
9 color-coding has changed a little. Sorry about that.
10 The adults are in blue. The older adults are in green
11 -- and again these are Southern Hemisphere panels --
12 and the gray are children from the older Northern
13 Hemisphere.

14 You can see that there are some reductions,
15 but there is no consistent pattern with respect to a
16 particular genetic subgroup being low. And, in fact,
17 these reductions, where you can see the titres below
18 the red dotted line, they're really just one off from
19 one testing lab that weren't tested in other testing
20 labs. If you look at the far right-hand side, where I
21 boxed in all egg-grown and all cell-grown viruses, you

1 can see here that in both cases, the absolute average
2 of all of the data is above that red line, suggesting
3 that there is no overall significance in reduced
4 titres when we compare these newer circulating viruses
5 with the vaccine virus. Next slide.

6 In summary, for the H1 viruses, there was a
7 predominance of H1pdm09 viruses in many countries,
8 particularly in Europe, South America, parts of Africa
9 and Asia. The vast majority of viruses had
10 hemagglutinin genes that belonged to subclade 6B.1 and
11 they had those additional amino acid changes at
12 residue 74, 164, and 295. These are changes we've
13 seen over the last year and have actually sort of
14 swept through all of the H1 viruses circulating
15 globally. Previously these weren't associated with
16 any antigenic changes either.

17 So, in addition, we're now seeing greater
18 genetic diversity in the hemagglutinin genes of H1
19 viruses with additional amino acid substitutions at
20 183, 120, and 138 but there's no clear predominant
21 subgroup at the moment.

1 All of the recent H1N1 or almost all of the
2 recent (H1N1)pdm09 viruses that were tested
3 antigenically are similar to the egg- or cell-
4 propagated Michigan/45 using post-infection ferret
5 antisera in the HI test. When we looked at the human
6 serology studies, again using HI, the geometry main
7 titres of antibodies against the majority of recent
8 representative viruses were not significantly reduced
9 when we compared with either cell- or egg-propagated
10 Michigan/45 virus. Okay. I wanted to move -- sorry.

11 **MS. EDWARDS:** Do we want to pause? Are there
12 any H1N1 questions that people want to ask before you
13 go on, Jackie?

14 **DR. OFFIT:** It's Paul Offit. Quick question,
15 Jackie. The findings that you saw with the egg-based
16 propagated vaccine wasn't surprising, right, regarding
17 H1N1? Isn't it the H3N2 that primarily drifts during
18 manufacturing eggs? Is that true?

19 **DR. KATZ:** Yeah, I wouldn't characterize it as
20 a drift, but we have more problems with H3N2 egg-grown
21 viruses acquiring additional mutations that can affect

1 their antigenic profile. Yes.

2 **DR. OFFIT:** Thank you.

3 **DR. KATZ:** Does that -- yep. Okay.

4 **DR. MEISSNER:** This is Cody --

5 **MS. EDWARDS:** It's nice to see these graphs.

6 It's nice to see the egg and the cell culture data

7 compared. Thank you. Go ahead, Cody.

8 **DR. MEISSNER:** Yes, and it's kind of a follow-
9 up question to Paul's comment. Are those
10 statistically significant different GMTs against egg
11 virus and cell virus? Higher titre?

12 **DR. KATZ:** We typically see higher titres with
13 the egg-grown viruses and that is in part because --
14 and we're just really starting to tease this out. We
15 do believe that egg-propagated vaccines elicit a
16 response not only to the relevant antigenic epitopes
17 on the vaccine virus, that they may also in part
18 elicit some responses to the epitopes that change due
19 to egg adaptations. We typically see that egg-
20 propagated viruses give higher titres because they
21 were elicited by an egg-grown vaccine. Then we

1 typically see a difference with the cell-cultured
2 derivative.

3 But the way we have to do this testing is we
4 compare the cell-culture viruses so that's why we do a
5 comparison both against a reference egg-propagated
6 virus and a cell culture-propagated virus. We don't
7 actually do detailed statistical analysis, but in some
8 cases, I think these very low responses would be
9 statistically significant.

10 **DR. MEISSNER:** Thank you.

11 **MS. EDWARDS:** Thank you. Any other questions?
12 Okay, go ahead. Thank you, Jackie.

13 **DR. KATZ:** Okay, so let's move onto the next
14 slide 23. This shows again the red line is 2018.
15 These are the H3N2 viruses detected by the GISRS
16 network. You can see that it's relatively low in
17 numbers particularly for the period we're talking
18 about. If we compare in the middle of the graph, the
19 red line for weeks say 21-35, compared with the 2017
20 season in black, which was far more robust for H3N2.
21 Next slide.

1 This shows, again, globally the level of
2 outbreaks occurring according to WHO and, again, this
3 is since February. This still does take into account
4 part of the Northern Hemisphere season. You can see
5 North America has quite an intense widespread and
6 regional activity of H3N2 viruses. But for the
7 Southern Hemisphere season is was far more regional.
8 Countries in South America, Chile, and Paraguay had H3
9 predominating, but no other countries. Then you can
10 see again some Northern Hemisphere countries in the
11 darker colors, but for the Southern Hemisphere since
12 February, not a lot of H3N2 activity. Next slide.

13 This is a phylogenetic tree again of the
14 hemagglutinin gene. This is again old data that's
15 available globally for this period. This is produced
16 by our colleagues at the University of Cambridge.
17 Just to keep this simple, we have identified again the
18 number of genetic subgroups that are continuing to
19 circulate.

20 For members who were there in February, you'll
21 remember that this was becoming increasing complex in

1 terms of the number of different genetic subgroups
2 that had emerged. It's become a little simpler just
3 because some are predominating over others. Right
4 now, if we look at the -- so most of these viruses are
5 still within the 3C2A clade. There's a small group at
6 the very top there that are referred to as 3A. These
7 are the 3C3A group that continue to persist. If
8 you'll remember back a few seasons, this was
9 represented by the earlier Switzerland/2013 that was a
10 vaccine component back in 15-16. These viruses
11 continue to persist but at a low level globally,
12 although we do see a number of them still in our past
13 season in the US.

14 But what is predominating now is this subgroup
15 within the 3C2a viruses. We refer to them as 2a2, and
16 they have signature changes at residues 131, 142, and
17 261, which are identified there. And if you look
18 again, the ladder just demonstrates by month what's
19 circulating, and it's color-coded by region. You can
20 see that for the period of February through July,
21 we've got circulation a lot in the Northern Hemisphere

1 toward the end of our season, but also globally. The
2 2a2 viruses are predominating.

3 And the next genetic group, which is really
4 also still in the mix, is the 2a1b viruses. These
5 have diversified into a larger group that has a
6 substitution at 135K and then a smaller but emerging
7 group that have a 135N substitution. The other clade
8 2a4, 2a3, these are really not that highly
9 represented, and this will be clear on the next slide.

10 We actually slice and dice the different
11 propositions of these genetic subgroups by region
12 globally. Just to highlight, the 2a2 viruses are in
13 the bright pink, and you can see for Asia, Europe,
14 North America, almost Oceania, and Central/South
15 America, these viruses have predominated over this
16 period. This is an increase in what we've seen
17 compared with the Southern Hemisphere season and
18 particularly Australia, New Zealand last season where
19 they had different genetic groups circulating.

20 So, clearly, the 2a2 viruses are predominating
21 globally now. The one exception is Africa, and you

1 can there's more of a mix of viruses. Typically, we
2 see the older clades still in Africa. It seems like
3 it's a bit behind the rest of the world in terms of
4 the influenza viruses, and it's not quite at the
5 leading edge.

6 The other group that I just wanted to call out
7 is the group in red, the 2A1b, which have the 135K
8 change and you can see that there in Europe, Asia, and
9 Oceania, and Africa, they represent 20-30 percent of
10 the viruses. Then, in the gold color, the 2A1b,
11 135N, and although the numbers are extremely small for
12 Oceania for the southern Hemisphere, there was a late
13 increase in that group. But again, smaller
14 proportions compared to the dominance of the 2a2 group
15 globally. Next slide.

16 First of all, I'm going to give you the
17 summaries of what we're seeing and for H3N2s. The
18 performing hemagglutination inhibition assays is
19 continued to be challenging because a lot of 2A group
20 viruses don't have sufficient hemagglutination
21 activity for us to test them in the HI. That's why we

1 now do -- are increasingly using virus neutralization
2 assays as a supplement and to complement but also to
3 test more viruses.

4 I'll first show you the HI data. This is
5 again is a summary of four of the collaborating
6 centers doing HI assays still. If you look at the
7 right-hand side of this table, you can see that by Hi
8 about 57 percent of the viruses tested were
9 antigenically like the egg-propagated Singapore.
10 You'll remember we changed from Hong Kong/4801 a year
11 ago because Hong Kong/4801 egg-propagated virus
12 representing the vaccine component at the time was no
13 longer reacting well with circulating viruses.

14 You can see now that that number on the left-
15 hand side of the table is as low as only 17 percent.
16 So, the Singapore egg-propagated virus is still doing
17 better, and that's why we chose it back a year ago.
18 But it's not doing as well as we would like. Next
19 slide.

20 This now is looking at the same analysis but
21 now comparing in an Hi assay still how similar the

1 circulating viruses are antigenically to the cell-
2 propagated Singapore virus. Here you can still see
3 that 95 percent of these viruses are antigenically
4 similar to Singapore cell-propagated. So, the problem
5 we're having is again with the egg-propagated viruses.
6 Next slide.

7 This should be slide 29. This now is virus
8 neutralization data again comparing to cell-propagated
9 virus. You can see, if you look on the right-hand
10 side, you can see that 91 percent of viruses tested by
11 all of the centers still showed antigenic similarity
12 to Singapore cell-propagated virus. Next slide.

13 And then, finally, this is virus
14 neutralization data now comparing the circulating
15 viruses against the egg-propagated Singapore. You can
16 see it's reduced. It's not as bad as it is by HI.
17 Overall, 72 percent of viruses still showed similarity
18 with the egg-propagated Singapore virus, but there's
19 some great diversity in the results that were obtained
20 by different collaborating centers. This reflects, if
21 you'll see that asterisk that I put there, what we

1 found is that the egg-propagated Singapore viruses
2 that were being used in different collaborating
3 centers had heterogeneity at a particular substitution
4 that was driven by adaptation to eggs.

5 We know for the candidate vaccine virus -- so
6 if the virus is propagated in eggs, made into a
7 reassortment, and then used as the vaccine virus, we
8 know that that vaccine virus contains a predominance
9 of 225G. So that is what we think is truly
10 representative of the egg-propagated vaccine.

11 We believe that the higher reactivities then
12 that we see for say CDC, CNIC, NIID, Japan, and VIDRL
13 in Australia reflect the fact that we've heterogeneity
14 at that site. We can't be sure that we're really,
15 totally representing the egg-propagated vaccine
16 properly.

17 At the London lab, shown highlighted in the
18 yellow, they were able to clone a virus that only had
19 225G. We also tried that CDC. We just could not get
20 a purely prodinal virus at that substitution. And so,
21 when they do that, they see that they see very

1 different patterns and that all of the viruses that
2 they tested are actually not reacting well with the
3 egg-propagated Singapore virus. Next slide.

4 And so, this is actual data now reflecting
5 that. This is a neutralization assay from the London
6 Crick Laboratory. You can see highlighted in yellow
7 is the antisera raised to the Singapore egg-propagated
8 virus with high homologous titre of 2560 in red. If
9 you cast your eye down to the test viruses, you can see
10 that the titres are all very low compared with this
11 homologous titre.

12 In contrast, the next column over, not
13 highlighted, is antisera raised to cell-propagated
14 Singapore. You can see that the homologous titre of
15 the test viruses are much better, much higher and more
16 comparable to the 1280 homologous titre.

17 I want to draw your attention to the far-right
18 column. This is highlighted in the pale yellow. It's
19 antisera raised to a contemporary 2a2 virus
20 Switzerland/8060 and this is an egg-propagated virus.
21 You can see here that if you look at the lower part of

1 the test viruses and these are all 2a2 viruses, you
2 can see that this antisera to this new egg-propagated
3 virus covers the circulating 2a2 virus very well.
4 However, above that you'll see the less than sign.
5 These are titres against 2a1b viruses and that this
6 particular antisera doesn't cover that minor subgroup
7 very well. Next slide.

8 This is additional data. This is CDC virus
9 neutralization data. Just to show you a similar
10 picture with the cell-propagated Switzerland -- that's
11 the one in dark yellow -- you can see the antisera to
12 cell-propagated Switzerland has a homologous titre of
13 2560, and the vast majority of viruses are well
14 inhibited by this antisera. Again, saying that
15 antigenically, the Singapore viruses cover circulating
16 viruses quite well. This is also demonstrated in the
17 next column. QMC stands for Qualified Manufacturer's
18 Cell.

19 This is the MDC case cell line which is used
20 to isolate viruses for the cell-based vaccine
21 production. This North Carolina/4 is actually the

1 cell-based vaccine component for the Northern
2 Hemisphere cell-based vaccine. You can see again -- so
3 it's a Switzerland-like virus in the 2A1 genetic
4 group. You can see again that this antisera covers
5 the circulating viruses quite well, including viruses
6 in different genetic groups.

7 In comparison, an antisera raised to a more
8 contemporary 2a2 virus in the far right-hand side,
9 again, like what I showed you in the previous
10 neutralization table, it covers other 2a2 viruses very
11 well, but it doesn't cover the minor genetic groups
12 like the 2a1b group.

13 So, that was important for the decision to be
14 made about the cell-based vaccines. I know that's
15 probably not something you're going to have to
16 consider based on the questions that Dr. Weir posed to
17 you. Moving to the next slide. Slide 33.

18 This is looking at this by antigenic
19 cartography. Maybe this is an easier way to look at
20 this. This is hemagglutination inhibition data in
21 this figure. Again, the pink bubble there that you

1 see reflects the different relative antigenic position
2 of Singapore egg-propagated viruses that we saw in
3 different collaborating centers, so a bigger overall
4 area meaning that in some labs the antigenic distance
5 was smaller and in other labs it was larger. But you
6 can see here that shown in yellow, these are the 3C2a2
7 virus that are predominating globally. These are
8 closely around the Switzerland/8060 reference egg-
9 propagated virus and are a little more distant from
10 the Singapore cell-propagated virus in the dark red.
11 You can see that there is antigenic distance and
12 difference between them.

13 **DR. LEVY:** This is Ofer Levy. Is it okay to
14 ask a question?

15 **DR. KATZ:** Yeah, sure.

16 **DR. LEVY:** Looking at the antigenic
17 cartography image, in terms of the X and Y axis, is
18 this just a way to separate out the data? Is this
19 like a principal component analysis? Or what kind of
20 plot is this exactly?

21 **DR. KATZ:** Each square represents the two-

1 fold. It's really just taking the hemagglutination
2 inhibition titres and doing sort of a cartographic
3 analysis using a particular software, which I can't
4 think of the top of my head, but essentially it's a
5 more complex analysis. It takes every virus and serum
6 interaction into account to get this sort of like a
7 two-dimensional picture.

8 **DR. LEVY:** And when you say that there were
9 differences between the different labs and the
10 distances detected -- that went by a little quickly.
11 Were you implying that there were differences in the
12 way the labs were running assay or you're just
13 implying that they're working with different viruses
14 and, therefore, those viruses displayed different
15 distances?

16 **DR. KATZ:** Right. So that's going back to the
17 what I described for the egg-propagated Singapore
18 viruses. Although each lab tried to have a pure
19 clonal virus, it's just very hard to do to get all the
20 viruses that are exactly the same. We know that
21 there's substitution. This egg adaptive change at

1 residue 225 does play a role in antigenic profile. We
2 know for sure that the data from the London lab was
3 based on a virus that was totally clonal at that
4 position. Whereas, other labs, it was more like a
5 mixture. We know that the viruses were mixed up.

6 **DR. LEVY:** It's not technically feasible for
7 the labs to share with one another, the isolates to
8 test one another isolates?

9 **DR. KATZ:** No, because as soon as you grow it
10 up, it's going to change again. So, each lab has to
11 grow up sufficient quantity to be able to test over a
12 whole season. You can clone it out and then still
13 grown it up, and you'll still get a mixture. That's
14 what happened in our case. So, it's not really -- I
15 mean that might be something that we have to look into
16 in the future, but the way it's done --

17 **DR. LEVY:** No, just thinking if London is able
18 to grow a single pure preparation, is there any way to
19 stabilize that or preserve it and share some of it so
20 that the different labs can send it?

21 **DR. KATZ:** It would be very difficult for them

1 to grow sufficient quantities for all labs and they
2 could share their virus, but as I said, as soon as
3 another lab grows it up, it could change. And this is
4 just a particular challenge we recognize this time.
5 It highlights the importance of knowing exactly what
6 virus is put into a ferret and what the sequences of
7 the virus that goes into a ferret for the antisera and
8 what is used for the antigen. Because for H3N2s,
9 subtle changes can make a difference. Okay, so next
10 slide.

11 We should be on -- so this is the
12 neutralization assay. This is just really using now
13 the neutralization titres for all of the laboratories
14 and this list data because we don't test as many
15 viruses. But you can essentially see the same picture
16 and the distinction between the 2a2 and 2a1 and all
17 the 2a viruses as well as the 3C3A viruses, which we
18 know are clearly antigenically distinct now. Next
19 slide.

20 This is slide 35. This again using now the
21 panels of human sera from adults and older adults that

1 were vaccinated in Australia and Peru. This is a
2 summary or a compilation of all of the labs' data, all
3 of the labs that were testing, a compilation of the
4 results compared with using cell-propagated Singapore
5 virus as the reference. So, that is set so its GMT is
6 set at 100 percent and we look at the relative GMT for
7 the other viruses. You can see many of the cell-
8 propagated viruses are lower, particularly in number
9 of the 3C.2a2 and 3C.2a1b viruses. If you look at all
10 2a2 viruses there at the far right-hand side, you can
11 see particularly for older adults that it's sort of is
12 just on the borderline of a 50 percent reduction
13 overall. Whereas, the 2A1b viruses faired a little
14 better. Next slide.

15 In summary of H3N2 viruses are continuing to
16 evolve, two subclades are predominant now. The 2A2 is
17 predominating globally in recent months, but there's
18 also to 2a1b group. They represent a far smaller
19 proportion of viruses circulating globally. So, the
20 2a2 viruses are antigenically distinguishable from
21 former 3c2a subclades and from viruses within the 2a1b

1 group because antisera to the 2a2 viruses does not
2 cover well this smaller genetic 2a1b group.

3 Antisera raised against egg-propagated
4 Singapore -- when it was similar to the vaccine virus
5 -- so this speaks to having the same substitution that
6 we know is in the candidate vaccine virus used in the
7 vaccines. Then we see that the circulating viruses
8 are not well recognized by this antisera. But
9 antisera against the 2a2 viruses themselves recognize
10 other viruses in that subgroup very well, but do not
11 recognize the other minor subclades well.

12 However, we do see that for the cell-
13 propagated 2a1 virus, which is being produced in
14 qualified manufacturing cells and which represents the
15 reference virus or the cell-based vaccine component of
16 the Northern Hemisphere cell-based vaccines, these do
17 recognize a majority of circulating viruses. In the
18 human serology neutralization tests, we saw that the
19 geometric mean titres against several of the recently
20 circulating 2a2 and 2a1b viruses were significantly
21 reduced when we compared them to the GMTs we got with

1 the cell-propagated Singapore vaccine reference virus.
2 So, are there any questions for H3N2? I'm sure there
3 are.

4 **MS. EDWARDS:** Yes, it's somewhat problematic
5 isn't it?

6 **DR. KATZ:** It is. Well, we could come back to
7 it. I could let you digest it a bit, and I can go on
8 to the Bs.

9 **DR. MONTO:** This is Arnold. I'd like to ask a
10 question.

11 **DR. KATZ:** Mm-hmm.

12 **DR. MONTO:** How many of the viruses that were
13 circulating here in the US were 3C2a2?

14 **DR. KATZ:** I think it was well over 80
15 percent.

16 **DR. MONTO:** So, in fact, we did have somewhat
17 of a mismatch beside the egg adaptation issue?

18 **DR. KATZ:** Possibly, but that was compared
19 with the older -- remember we had Hong Kong/4801 in
20 our vaccines still.

21 **DR. MONTO:** In our vaccines? Oh, so we didn't

1 have the Singapore in the vaccine. But going forward
2 we will --

3 **DR. KATZ:** No, that's in the vaccine that for
4 this.

5 **DR. MONTO:** So, going forward, if we do get
6 H3N2, we are going to have a bit of a mismatch in
7 addition to --

8 **DR. KATZ:** It's possible. Yes.

9 **DR. MONTO:** Hopefully, we're going to have
10 H1N1.

11 **DR. KATZ:** Right.

12 **DR. MONTO:** No comment necessary.

13 **DR. KATZ:** Okay.

14 **MS. EDWARDS:** This is Kathy. Is that what
15 happened in the UK with the H3N2 this year?

16 **DR. KATZ:** They had, again, predominantly 2a2.
17 They did have an additional substitution that was not
18 seen widely elsewhere. I think it was seen a little
19 bit in Europe and the UK. It's the same substitution
20 that did affect the antigenicity, but I can't speak to
21 the VE for H3N2 is always low. To what degree is

1 antigenic change because the virus has changed or the
2 antigenic change because of the egg adaptation, it's
3 hard to tease out.

4 **MS. EDWARDS:** Thank you.

5 **DR. KATZ:** Any other questions?

6 **MS. EDWARDS:** Why don't you go on to the Bs
7 then, Jackie.

8 **DR. KATZ:** Okay. Moving on to slide 38. Here
9 again you see the global picture and again there was a
10 lot of B activity in the Northern Hemisphere season
11 particularly late in the US had predominately B after
12 March, so that's reflected by the widespread outbreak
13 dark red color. But also, there were pockets of B
14 activity regional and local activity in Southern
15 Hemisphere and tropical areas over that period since
16 February. Next slide.

17 Again, the Northern Hemisphere B season was
18 very robust as shown by the red line and then has
19 again tapered off in the Southern Hemisphere season.
20 Next slide.

21 Again, this is the number of influenza B

1 viruses overall characterized in the different
2 collaborating centers from February to August. Again,
3 more in London and generally lower than in previous
4 seasons but still a lot of influenza B. Next slide.

5 And this is the breakdown for where lineage is
6 determined. The B/Yamagata viruses were still
7 predominate at about 78 percent and B/Victoria lineage
8 viruses were only 22 percent of all of the B viruses
9 when we have the lineage determined. Next slide.

10 And let me talk about the B/Victoria lineage
11 viruses first. Next slide.

12 Again, this is a phylogenetic tree of the
13 hemagglutinin. It's a large tree that encompasses all
14 of the genetic data available. As similar as before,
15 if you focus on the sort of bottom right-hand side,
16 you can see the columns here represent the viruses
17 circulating by month color-coded by the regions. You
18 can see that there's quite a bit among the B/Victoria.
19 Most of the viruses are falling into this lower part
20 of the tree. That represents the viruses that have
21 acquired a two amino acid deletion in the

1 hemagglutinin. These are the viruses we talked about
2 last time in early March for the Northern Hemisphere
3 decision. These are what we refer to as the 1A.1
4 viruses.

5 The rest of the viruses still fall into the
6 clade 1A, which is represented by Brisbane/60 which
7 has been a vaccine strain. You can see interjected
8 along here is three little purple bars representing
9 viruses that have triple deletions so three amino acid
10 deletions in that same region of 162-164 an
11 antigenically relevant site. You can see that they're
12 on different parts of the tree, and this tells us that
13 they are separate introductions. They're occurring
14 independently in different viruses in different parts
15 of the world. Next slide.

16 Again, this is a pie chart, so we understand
17 the proportions of viruses within the B/Victoria
18 lineage only now that are this double deletion or the
19 V1A.1 group shown here in the yellow color. You can
20 see in Europe, North America, and Central/South
21 America these viruses are now predominant. In

1 Central/South America, during their Southern
2 Hemisphere season in South America, these are the only
3 B/Vic viruses we isolated. We have seen a few viruses
4 in Oceania; although, it's slow to emerge there and
5 it's now emerging in both Asia and Africa.

6 Shown in the dark red are the viruses with the
7 three amino acid deletions, and these are seen very
8 sporadically. We have a couple from Africa. The
9 overall numbers of viruses there are extremely low.
10 We did have some from Asia but not in this reporting
11 period. And then we did see our first triple deletion
12 in North America at the tail end of our season, and
13 this was from a traveler from Africa. We believe this
14 is an introduction from the African triple deletion
15 viruses. But the bottom line is the double deletion
16 virus has slowly increased and, according to our
17 predictive modelers who attended the meeting, is
18 expected to take over the B/Victoria lineage overall.
19 Next slide.

20 This is just another to break down to tell you
21 exactly which countries these viruses are emerging in.

1 But essentially, we've seen over 380 double deletion
2 viruses now in 43 countries. Next slide.

3 Now this is an antigenic analysis now using
4 the hemagglutination inhibition test. This is data
5 from the collaborating center in Melbourne. If we
6 look at the far left-hand side, you can see antisera
7 raised to the Brisbane/60 self-propagated virus. This
8 is representing the Brisbane component, which has been
9 the B/Victoria lineage component of vaccines for quite
10 a number of years.

11 You can see there, if you cast your eye down,
12 the test antigens, you'll see a few viruses that have
13 V1A next to them. Those viruses in general are still
14 well-covered by the Brisbane/60 antisera. But, if you
15 look down further, you'll see titres of less than 20.
16 These are mostly with viruses that are the either the
17 double deletions the V1A.1 or they're some pair of
18 viruses there from Cambodia. The V1A.2, they have the
19 triple deletion.

20 If you now look towards the right-hand side,
21 I've tried to put in a green box. I don't know how

1 well it came out, but the antisera raised to these
2 V1A.1 viruses so the viruses that have the double
3 deletions. You can see if you look down, this
4 antisera doesn't react well with the older V1A, the
5 Brisbane-like viruses. But it does cover the double
6 deletion viruses quite well.

7 However, in general, it does not react well
8 with the triple deletion viruses, so these are
9 antigenically distinct again. This is shown also in
10 the next column, which is highlighted in the blue box.
11 This is antisera raised now to a virus that has the
12 triple deletion, a virus from Hong Kong. You can see
13 that it only reacts well with the Cambodia viruses,
14 which are very similar and have that triple deletion.
15 But it doesn't react very well with any of the other
16 circulating viruses, so we have a number of different
17 antigenic groups.

18 If you turn to the next slide 47, you can see
19 this is broken down in the antigenic cartography where
20 the old viruses that are still within clade V1A and
21 Brisbane/60-like are shown in the gold. That's the

1 most recent viruses circulating up to August of this
2 year and then the older viruses in blue. They are
3 squarely focused around the Brisbane cell-propagated
4 virus.

5 But if you look down to the viruses in dark
6 orange, these are representing the Colorado/06-like
7 viruses and Colorado/06 is the reference virus
8 representing these double deletion viruses. You can
9 see they form their own cluster. Then in purple, just
10 the sporadic purple dots representing the triple
11 deletion viruses, and they are distinct from both the
12 Colorado-like viruses and the Brisbane-like viruses.
13 Next slide.

14 This is the summary of the HI reactivity from
15 all of the collaborating centers. Again, testing over
16 a small proportion of viruses because B/Victoria
17 didn't circulate that heavily. If you look on the
18 left-side and this is comparing to egg-propagated
19 Brisbane/60, you can see only 37 percent of the
20 viruses are reacting well with that antisera. The
21 majority, 63 percent of viruses, react poorly at

1 titres that are eight-fold or greater reduced. The
2 reciprocal is really true now if we look at the
3 reactivity compared with the Colorado/06 reference
4 virus representing the double deletion variance.

5 You can see the majority now 68 percent of
6 viruses, roughly two-thirds of viruses, are well
7 covered and only one-third of viruses are poorly
8 inhibited. That one-third represents still either the
9 very low number of triple deletion but mostly it
10 represents the older B/Brisbane-like viruses that are
11 still circulating. Next slide.

12 This is a serology study now. Looking at the
13 panels I've previously described to you, this is again
14 a compilation of all of the viruses tested by all of
15 the centers and where it's doing the testing. This is
16 comparing the response for the geometric mean titre
17 against the egg-propagated B/Brisbane/60 which was in
18 the vaccine for all of these panels. You can see that
19 a number of double deletions and triple deletion
20 viruses are giving quite poor responses. When you
21 look at all of the double deletion viruses,

1 particularly the panels from children are quite
2 reduced, and the panels from the adults are sort of
3 borderline. All of the triple deletion viruses,
4 they're giving very poor GMTs relative to the egg-
5 propagated B/Brisbane.

6 Similarly, if you compare now against the
7 cell-propagated virus, you see generally the same
8 pattern. There's still some reduction. It's a little
9 less pronounced because comparing the test viruses,
10 which are generally cell-propagated now comparing it
11 to the cell-propagated Brisbane, the difference is not
12 quite so extreme. We're still seeing a reduction
13 particularly in the panels from children that received
14 B/Brisbane. Next, I'll move on to the Yamagata. Next
15 slide and the next slide again.

16 The B/Yamagata, this is again is a
17 phylogenetic tree of all of the hemagglutinin genes.
18 If you just cast you eye back to what the H3s were
19 doing with all of this branching, the Yamagata
20 phylogenetic tree is still fairly linear and
21 homogenous. There's really very little evidence of

1 genetic diversity and no significant genetic subgroups
2 emerging. All of these viruses, although there was a
3 very robust B/Yamagata season globally, they all are
4 fairly genetically similar and belong to the Y3 clade.
5 Next slide.

6 This is now showing the antigenic
7 characterization using the hemagglutinin inhibition
8 assay from the CDC lab. Highlighted in yellow at the
9 left-hand side, you can see antisera raised to the
10 reference Phuket/3073 virus, which is representing the
11 vaccine, the Yamagata vaccine component. If you look
12 at antisera raised to cell-propagated MDCK cell-
13 propagated, you see that all of the test viruses here
14 from the US, the Middle East, Africa, Asia, and
15 Central America, they're all well-inhibited by this
16 antisera.

17 If we look to the left of that antisera raised
18 to egg-propagated Phuket, we see in general that these
19 viruses are still well covered. More viruses are
20 showing a four-fold difference, but they are not
21 showing a greater than eight-fold difference or

1 greater than or equal to eight-fold difference, which
2 is our criteria for saying something is antigenically
3 different. Next slide.

4 This is just now showing this using antigenic
5 cartography to visualize this. This is all the data
6 from all the collaborating centers. You can see that
7 the Yamagata viruses from the last year from September
8 2017 to August 2018, represented by the yellow dots,
9 that they're really not moving antigenically. They're
10 still close to the Phuket cell-propagated virus and
11 they're right on top of the older viruses shown in
12 blue from the previous year from 2016-17. Next slide.

13 This is a summary of all of the data from the
14 different collaborating centers. On the left-hand
15 side, we're comparing against the egg-propagated
16 Phuket/3073 reference virus at 90 percent of viruses
17 are antigenically similar to this. If we look on the
18 right-hand side, we can see that 99 percent of viruses
19 are antigenically similar to the cell-propagated
20 Phuket. So again, no evidence of antigenic drift from
21 the Yamagata viruses. Next slide.

1 This is the human serology data again for all
2 of the testing laboratories. All of the data is
3 compiled together for the different panels of adults,
4 older adults, or children that would have received a
5 recent vaccine containing the B/Phuket/3073 component.
6 Again, this is comparing to the cell-propagated virus
7 shown by the red arrow. You can see with a few
8 exceptions -- and this Guyane virus is an exception,
9 it's an egg-propagated virus that had acquired some
10 changes. Most of the other virus are showing that the
11 panels of sera are giving GMTs that are less than 50
12 percent different from the reference cell-propagated
13 B/Phuket and that includes the panels of children's
14 sera. Next slide.

15 In summary, for the B viruses overall, both
16 the Yamagata and B/Victoria lineage have cocirculated,
17 but the B/Yamagata lineage has predominated globally.
18 Next slide.

19 For the B/Victoria lineage viruses, all of
20 these viruses belong still to genetic clade 1A. A
21 steadily increasing proportion of viruses from many

1 countries now have this double deletion at amino acids
2 162 and 163 in the hemagglutinin. There's a smaller
3 group of viruses from only a handful of countries at
4 this point that have a triple deletion at this site
5 162-164 in the hemagglutinin.

6 So recent viruses that don't have any amino
7 acid deletions, were still well inhibited by ferret
8 antisera raised to B/Brisbane/60 viruses. Viruses
9 that do have deletions, either two amino acid or three
10 amino acid deletions, are poorly inhibited by ferret
11 antisera raised to the cell cultured B/Brisbane/60
12 virus. Most viruses with a deletion of the two amino
13 acids in the HA -- this is the V1A.1 genetic subgroup
14 -- react well with ferret sera raised against the
15 B/Colorado/06 reference virus. But the exception is
16 that the delete viruses with three deletions, the
17 triple deletions, they are not well-inhibited by this
18 antisera.

19 So, with human serology studies, which we
20 performed by HI analysis, the geometric mean titres
21 against the tested B/Victoria lineage viruses,

1 including those with two or three amino acid deletions
2 and the HA, were modestly reduced compared to the HI
3 titres to either the egg or cell-propagated
4 B/Brisbane/60-like viruses.

5 For the B/Yamagata lineage viruses, all the HA
6 genes belong to the genetic clade 3 and we're seeing
7 very little genetic diversity within this clade.
8 Recently circulating viruses were well inhibited by
9 ferret antisera raised against either the cell
10 culture- or the egg-propagated B/Phuket/3073 viruses.
11 In human serology studies using HI, the geometric mean
12 titres against most of the representative viruses were
13 not reduced compared to the HI titres to the cell-
14 propagated B/Phuket reference virus.

15 I can stop there and answer questions before I
16 just go on to remind you of the recommendations again.
17 Or I can just finish off and then take questions.

18 **MS. EDWARDS:** Are there any B questions, to be
19 or not to be. Why don't you go ahead, Jackie, with
20 the rest.

21 **DR. KATZ:** Sure. Just really one more slide

1 reiterating the recommendations that Jerry mentioned
2 earlier. For the egg-based Quadrivalent influenza
3 vaccines, the recommendation was to include a
4 Michigan/45/2015(H1N1)pdm09-like virus;
5 A/Switzerland/8060/2017(H3N2)-like virus; a
6 B/Colorado/06/2017-like virus, which is just a
7 B/Victoria/2/87 lineage; and the B/Phuket/3073/2013-
8 like virus, the B/Yamagata. For egg-based Trivalent
9 vaccines the Michigan/H1N1 and the new
10 Switzerland/H3N2 component were recommended and the
11 B/Victoria lineage B/Colorado/06/2017-like virus was
12 recommended for inclusion.

13 Just to let you know this probably does not
14 affect your decision, but the decision was made for
15 non-egg-based vaccine viruses that there should be no
16 change for the H3N2 component. That is should remain
17 the Singapore/INFIMH-16-0019/2016-like virus. And
18 I've got a typo there. The other components would be
19 like the egg-based vaccine.

20 **MS. EDWARDS:** Thank you, Jackie.

21 **DR. KATZ:** That's it.

1 **MS. EDWARDS:** This is Kathy, Jackie. Is this
2 the first time that you've had to make a different
3 recommendation for the egg-based and cell-cultured
4 based vaccine for the WHO?

5 **DR. KATZ:** Yes, it is and that's why I focused
6 on the CDC neutralization data because we really -- if
7 you compared to either the cell-propagated Singapore
8 reference virus or the actual candidate vaccine virus
9 that's used in the cell-based vaccine, they still
10 cover the circulating viruses fine. So, we really
11 didn't have a justification to move away from the
12 Singapore recommendation for cell-based vaccines.

13 **MS. EDWARDS:** Thank you. Other questions?

14 **DR. KURILLA:** This is Mike Kurilla. I just
15 want to make sure I understand this. The voting
16 questions were of value were asked about the non-egg-
17 based composition is not on the table?

18 **MS. EDWARDS:** That's correct.

19 **DR. KATZ:** Yeah, Jerry, do you want to comment?

20 **DR. WEIR:** That's correct. We were trying to
21 make it simple because the recommendation really only

1 applies to one manufacturer who makes an egg-based
2 vaccine at this time.

3 **DR. KATZ:** Others' questions?

4 **MS. EDWARDS:** Well, if there are no other
5 questions, then certainly Jackie would entertain any.
6 This is the time that we were to take a break. I
7 think that given the fact that the voting and this
8 additional discussion will be forthcoming. I think we
9 probably do need a break, but I'm not sure that it
10 needs to be a lunch break, maybe just a bathroom
11 break. Would that be fine with everyone, or do people
12 want a lunch break for the 45 minutes that's on the
13 schedule?

14 **UNIDENTIFIED MALE:** Well, for those of us who
15 are on the West Coast now, it's not lunch.

16 **MS. EDWARDS:** Exactly. It's just a
17 breakfast, right?

18 **UNIDENTIFIED MALE:** You're right. A late
19 breakfast.

20 **MS. EDWARDS:** Would it be fine for us to have
21 a shorter more truncated bathroom break than a lunch

1 break?

2 **UNIDENTIFIED MALE:** Sure. It's fine with me
3 on the East Coast. That's fine.

4 **MS. EDWARDS:** Okay. All right.

5 **UNIDENTIFIED MALE:** That's fine with me as
6 well.

7 **MS. EDWARDS:** Perfect. So, let's do that.
8 Let's meet then in -- is 15 minutes fine? So, then
9 that will be five minutes after the hour.

10 **UNIDENTIFIED MALE:** Sounds good.

11 **MS. EDWARDS:** Okay. Thank you and we'll
12 regroup then.

13 **UNIDENTIFIED MALE:** Perfect. Thank you.

14 **[BREAK]**

15 **NO OPEN PUBLIC COMMENTS REGISTERED**

16

17 **COMMITTEE DISCUSSION**

18 **DR. EDWARDS:** Let's get started. There are no
19 registered public speakers, so we will forego the open
20 public hearing and move directly to the committee
21 discussion. We will need to be voting on these two

1 questions, which are think are now in front of us or
2 will soon be in front of us. Then we can begin to
3 discuss and comment about them. And we can ask
4 questions or make comments and then we can actually go
5 around, and people can offer their comments. Are
6 there any general comments that individuals have?

7 **DR. TOUBMAN:** Bear in mind I'm the person who
8 doesn't understand most of this stuff, so I'm just
9 trying to figure it out. In terms of the specific
10 recommendations of quadrivalent versus trivalent, this
11 is where perhaps it's ideal, the quadrivalent
12 vaccines, but where for whatever reasons it's only
13 going to be trivalent, then you make the choices,
14 right, of what is the best? In this case, you pick
15 only one B virus vaccine, as 2S would be in the
16 quadrivalent. If I have that right.

17 My question is this. The summary says that
18 Yamagata prevails substantially over Victoria, I
19 guess, worldwide. And if that's the case, why when
20 you make the case for the trivalent, where you have to
21 choose one of the two B vaccines, why do you choose

1 Victoria instead of Yamagata if Yamagata is more
2 prevalent?

3 **DR. KATZ:** I can address that, and it's a
4 really good question. It's something that the
5 committee that makes the WHO recommendations really
6 struggle with. The rationale is the same as the
7 rationale that we used in February; and even more so
8 now because we've had the continuing circulation of
9 the Yamagata.

10 We believe that true, either infection, or in
11 some cases vaccination, there's probably quite a bit
12 of population immunity now to the B/Yamagata lineage
13 viruses. In contrast, the B/Victoria and particularly
14 because there's a new drifted variant that is now
15 emerging globally, we believe it's important to put it
16 in the trivalent vaccine for individuals,
17 particularly, young children who might not be exposed
18 to any B viruses previously, so they would really need
19 some immunity. And we felt it was best to put in the
20 emerging virus so that they have the best possibility
21 of gaining immunity to this new virus that is emerging

1 globally.

2 That was the rationale back in February and
3 it's still the rationale for the Southern Hemisphere
4 decision. Of course, this is a national decision and
5 different countries can change that. But we really
6 cannot predict what is going to be predominating next
7 year. We know that the V/Victoria tend to predominate
8 every third or fourth season, but there's no really
9 good way to predict this. We just felt that the
10 B/Yamagata have been circulating and predominating now
11 for quite a while, and it was reasonable to put the
12 B/Victoria lineage virus in the trivalent vaccine.

13 **DR. EDWARDS:** Thank you, very much. That was
14 an excellent question Sheldon.

15 **DR. TOUBMAN:** I actually have a follow up,
16 though, if I may. The follow up question is, it
17 sounds like you said there was quite a bit of
18 discussion about this. Is there a count prevailing
19 view or was this pretty much consensus; that for the
20 reasons you said, that it made more sense to go with
21 B/Victoria in the trivalent?

1 **DR. KATZ:** There was discussion. The
2 predominant view was the one on which we made the
3 recommendation.

4 **DR. TOUBMAN:** It was the predominant one, but
5 there were people who thought otherwise?

6 **DR. KATZ:** I can't make that public, but I can
7 just tell you it was the predominant opinion.

8 **DR. TOUBMAN:** May I ask, for those who did not
9 share that view, what their concerns or argument was?

10 **DR. KATZ:** It was similar to your concerns
11 about the predominance of B/Yamagata; which is the
12 current and past predominance. And as I said, we
13 cannot predict what's going to happen next year or
14 even this season, for the Northern Hemisphere.

15 **DR. TOUBMAN:** In the discussions, I assume
16 that the people who raised the concern that I raised,
17 that the Yamagata is more prevalent right now, the
18 discussions on the other side were, yes, but that's
19 been around for a while so there is some protection on
20 that; whereas, we don't have that for Victoria. I
21 assume that was in the discussion. And I'm just

1 trying to figure out when that was discussed, whether
2 minds were changed. And that's true in that there's a
3 real reason to make sure we get this Victoria out for
4 the reasons you outlined?

5 **DR. KATZ:** Ultimately, that was part of our
6 discussion and it was what the final recommendation
7 was based on.

8 **DR. LEVY:** Is it okay if I ask a follow up
9 question on that topic?

10 **DR. EDWARDS:** Yes, please.

11 **DR. LEVY:** One question is in arriving to
12 these decisions, which are obviously complicated, does
13 WHO ever make use of computer modeling or artificial
14 intelligence approach to model this?

15 **DR. KATZ:** We are currently working very
16 closely with two different modeling groups that use
17 all of the genetic data that I've explained to you is
18 available to them. And the collaborating centers are
19 also now sharing their antigenic data. And so, they
20 are doing their own fitness forecasting. And their
21 predictions are really taken into consideration for

1 the final recommendations. And for the first time,
2 they were invited to attend in person and present
3 their data, in person, last week in Atlanta.

4 **DR. LEVY:** And did their data support the
5 third-stain selection that we're discussing now?

6 **DR. KATZ:** In terms of the predominance of one
7 over the other -- other B lineage? Is that what
8 you're asking?

9 **DR. LEVY:** No. Did their presentation support
10 the decision in the trivalent to include the Victoria
11 lineage?

12 **DR. KATZ:** They again cannot predict -- and
13 they were asked this question. They cannot predict
14 which lineage is going to predominate. They do
15 predict that the B/Victoria variant, represented by
16 the B/Colorado/06/2017 virus, is going to become the
17 dominant B/Victoria lineage virus.

18 **DR. LEVY:** So, basically that is an effort to
19 stay ahead of the curve, essentially?

20 **DR. KATZ:** Yes. Yes.

21 **DR. EDWARDS:** I wanted to ask a question in

1 terms of what is the breakdown of the vaccine
2 trivalent versus quadrivalent in the Southern
3 Hemisphere? I mean, certainly the Northern
4 Hemisphere.

5 **DR. KATZ:** That's a really good question and
6 we don't have that data. And we realize that that was
7 something that we needed to tabulate better. We
8 believe that a lot of South America is still using
9 trivalent. We did have a representative from Costa
10 Rica and he informed us that they were moving to
11 quadrivalent. We know Australia and New Zealand have
12 moved to quadrivalent. But there are parts of Africa,
13 and other regions in the Southern Hemisphere, that we
14 felt that predominately they still might have teeth at
15 trivalent.

16 **DR. MONTTO:** Since this refers to US sold
17 vaccine, how much trivalent versus quadrivalent
18 vaccine is actually produced by the manufacture, in
19 the US, that we are regulated?

20 **DR. KATZ:** That would be a question for Jerry,
21 I think, for the particular manufacture related to

1 this decision. Overall, for the US over 75 percent of
2 the vaccines that were used last season is
3 quadrivalent, and that's increasing every year.

4 **DR. WEIR:** It's kind of a complex answer. The
5 manufacturing in question is licensed to make either a
6 trivalent or a quadrivalent. What they make and
7 distribute in the use for the Northern Hemisphere, I
8 think, is almost all quadrivalent now. They're not
9 here so we cannot ask them.

10 But the reason it's difficult to answer is
11 because I don't know what their plans are to what they
12 will make and try to distribute as part of this
13 Southern Hemisphere recommendations. And it could
14 actually be different from what they do in the US for
15 the Northern Hemisphere. So, anyway, they're licensed
16 to make both. I don't know what their plans are for
17 the Southern Hemisphere.

18 **DR. EDWARDS:** Are there any other general
19 questions before we actually go around to each
20 individual person and they can make their comments and
21 statements. Any other general questions?

1 **DR. KURILLA:** One question for Jackie. Is
2 there any population immune surveillance data that
3 would support the degree of immunity to the Yamagata
4 over Victoria?

5 **DR. KATZ:** That's a great question. That's
6 something, again, that we're moving towards having
7 more comprehensive population immunity data, but we're
8 not there yet. Definitely, it was not available for
9 us to review, but we are aware that a few countries do
10 look and do these types of studies. But we didn't
11 have that data to analyze. So, it would have been
12 very localized, not very regional or global
13 representation.

14 **DR. KURILLA:** I think your reasoning sounds
15 very straightforward and reasonable. But you're
16 making the assumption, that the population level
17 immunity to Yamagata is based on the prevalence of
18 past exposure that you presumed happened.

19 **DR. KATZ:** Right. Yeah, you're right. And
20 having some real seroprevalence data would be
21 beneficial.

1 **DR. EDWARDS:** Are there any other questions?

2 **DR. JANES:** Jackie, I'm sure it's in your
3 presentation somewhere, but can you comment on the
4 cross reactivity of the Yamagata and Victoria lineage
5 and how that pertains to this choice?

6 **DR. KATZ:** In young children we don't see that
7 much cross reactivity, there's sort of lineage
8 specificity. With increasing age, as individuals are
9 actually infected with one or the other, you do see
10 more cross reactivity. I'm just thinking -- I don't
11 know that we can tease it out of the serology that we
12 had, because I think it got taken out. We didn't
13 include, for example, a trivalent if it didn't include
14 -- in the Southern Hemisphere -- if it didn't include
15 B/Victoria.

16 But there was some cross reactivity, you do
17 see boosting. It would have been the case where the
18 trivalent vaccine that included B/Yamagata for the
19 last Southern Hemisphere season did boost responses to
20 B/Victoria. We didn't have the reciprocal -- well,
21 there was also cross boosting with -- I don't know if

1 we had the example of a trivalent only. Oh, yeah, we
2 did; we did in the older adults in the US because they
3 were only TIV.

4 So, yeah, you do see it both ways, but you
5 don't see it again for young children. Because the
6 cross boosting is probably based on the sort of
7 preexisting immunity and infection with one or other
8 or both lineages.

9 **DR. JANES:** Thank you.

10 **DR. KURILLA:** Jackie, one more question. Is
11 there any recognized difference in virulence or
12 severity of infection by Victoria versus Yamagata?

13 **DR. KATZ:** We couldn't say that the new double
14 deletion B/Victoria had -- the data was just very
15 limited, and we couldn't say that it was causing more
16 disease. We do know it's a B/Yamagata this season,
17 generally, that it did hit older adults and we were
18 seeing hospitalizations due to B/Yamagata. But again,
19 it's because B/Yamagata was circulating. So, the
20 relative difference between the two, I don't think we
21 have data on that.

1 **DR. GREENBERG:** Can I ask a question about the
2 H3N2 summary?

3 **DR. KATZ:** Sure.

4 **DR. GREENBERG:** I want to make sure that I
5 understand the relatedness between the strains, the
6 viruses and what we're talking about in terms of
7 changing from the Singapore to the Switzerland. The
8 Singapore is representative of which clade; is that
9 the 2a2?

10 **DR. KATZ:** No. Well, Singapore is, if you
11 think about the phylogenetic tree, it's sort of
12 lowered down. It is a 2a1 -- 3C.2a1 genetic subgroup.
13 The Switzerland/8060 is a 3C.2a2. And so, the 2a2s
14 are what I showed you on those pie charts, the ones
15 that are predominating globally now. The 2a1s are the
16 older viruses, which seem to be dying out.

17 But just to be clear -- and I want to clear up
18 something regarding a question that Arnold had
19 earlier. If we're just purely trying to understand
20 the antigenic differences of the viruses, the best
21 thing to do is to compare how the circulating viruses

1 react with self-propagated referenced viruses. And
2 there we see that the Singapore 2016 self-propagated
3 virus covers all the circulating viruses very well,
4 including 2a2. It's just if we take the 2a2 viruses,
5 and ask the same question of them, raising sera to
6 these viruses, they don't cover the other genetic
7 subgroups as well.

8 So, in the instance that we still have
9 Singapore in our vaccine for the 18/19 season, in the
10 Northern Hemisphere, antigenically, our data says that
11 it should cover all of the circulating viruses well.
12 It's just when you get into the egg-propagated
13 referenced viruses, we see bigger differences. And
14 so, we felt because the 2a2 viruses are predominating
15 globally, and a candidate vaccine virus was available
16 against the Switzerland virus, that it would cover the
17 majority of viruses better than the Singapore egg-
18 propagated vaccine virus would.

19 **DR. GREENBERG:** If I could just follow up.
20 The Switzerland 2a2, in your summery, at least for the
21 2a2 viruses, covered those similar strains well but

1 not the other subclades. You're less concerned about
2 not covering the other subclades as you would if we
3 were to stick with the Singapore strain?

4 **DR. KATZ:** Correct.

5 **DR. OFFIT:** Quick question to follow up to
6 that. The ACIP meeting in June, for data presented
7 looking at propagated vaccines in people greater than
8 65 years old as compared to the standard sort of egg-
9 based vaccine and showed that it was 10 percent
10 better. That vaccine, if I'm correct, is actually
11 down to the trial children who are greater than or
12 equal four years of age. Are there any data on
13 younger age groups, adults or older children, or young
14 children, on this vaccine's ability or how it compares
15 to egg-based vaccine, presumably because it's not --
16 it's able to recognize these H3N2 strains, that
17 undergo sequence changes during egg propagation, that
18 it appears to be better at least in that one study in
19 that one age group.

20 **DR. KATZ:** Right. And that was a relative
21 effectiveness. Yeah. As the cell-based vaccine is

1 continued to be used in the US, we're setting up some
2 studies this year, although I believe they're mostly
3 in adults; to really try and understand and have
4 panels of sera from individuals that received the
5 cell-based vaccine, to see if we see the same broader
6 reactivity that we see with our ferret antisera. But
7 right now that data just isn't available. But we hope
8 to gather the sera to be able to look at that this
9 year.

10 And then also to be doing more studies to
11 actually compare, side by side, the vaccine
12 effectiveness in observational studies against egg-
13 based versus non-egg-based vaccines. And they include
14 both the cell-based and the recombinant.

15 **DR. GREENBERG:** Thank you.

16 **DR. KATZ:** Okay.

17 **DR. EDWARDS:** If there are no other questions,
18 I'd like to just go around the list of the
19 participants and have them make any comments or any
20 specific questions that they would like to make before
21 we vote. I'd like to start with Melinda.

1 **DR. WHARTON:** Thanks Kathy. This is clearly,
2 as always, a really complex set of data and I
3 appreciate the very clear presentation by Dr. Katz,
4 and the discussion. I don't think I have any
5 additional questions. I'm comfortable with the WHO
6 recommended choices.

7 **DR. EDWARDS:** Thank you, Melinda. Sheldon?
8 Sheldon, do you have any comment?

9 **DR. TOUBMAN:** Yes. I'm sorry, I was on mute.
10 I do have a comment. I appreciate Dr. Katz's
11 presentation very, very much and also the answers to
12 the questions that were raised. I still have a
13 concern about this question of the trivalent versus
14 quadrivalent. Because, as I understand it, the choice
15 on the Yamagata one versus Victoria, it's -- even
16 though we don't know, everything is at a crapshoot to
17 some degree.

18 The fact is that the Yamagata is more
19 prevalent now, so we have that as a factor. And then
20 on the other side, you know, Jackie pointed out that a
21 lot of folks were saying, yeah, but there's this

1 nonimmunity for the Victoria, especially this new
2 strain, so we need to get some immunity out there, so
3 that's a reason for doing that. But then somebody
4 else asked the question, yeah, but we even have
5 immunity for the Yamagata, and the answer is we don't
6 have any data on it. So, then the other question that
7 was raised by somebody else was, well what about the
8 severity of the Yamagata versus Victoria? And what we
9 have there is that we have some hospitalizations for
10 the Yamagata and we have uncertain -- sounds like --
11 uncertain conclusive data with regards to Victoria.

12 So, I guess, you know, from my uneducated
13 point of view, just weighing all those things, it
14 doesn't strike me necessarily as the right decision to
15 go with the Victoria. But, you know, I'm uneducated
16 and the other folks on this call, obviously, are
17 intimately more informed than I am; and plus, Jackie,
18 who obviously includes some of the most expert people
19 in the world. And she says that they say this
20 decision. So, I'm not really in a position,
21 obviously, to second guess it but I do have that

1 concern, that balancing those in the trivalent, I'm
2 not sure I would vote it.

3 **DR. KATZ:** Kathy can I just respond to clarify
4 something?

5 **DR. EDWARDS:** Please. Yes. Absolutely.

6 **DR. KATZ:** Regarding the severity and the
7 relative virulence, I can't say that Victoria is any
8 less virulent in the older adults. I just gave you a
9 snapshot of what we saw this season in the US with
10 B/Yamagata predominating. Quite possible, we'd see
11 the same thing in a season where B/Victoria and
12 particularly a new variant circulated.

13 I wasn't trying to make any distinction
14 between those two, but just provide you with a factoid
15 of some information that we saw, from our season in
16 the US, which for the B viruses, B/Yamagata
17 predominated. So, of course, we would see more
18 hospitalizations, overall, in that group because they
19 were the predominant B. But there did seem to be a
20 slightly higher proportion in all the adults than we
21 had seen previously.

1 **DR. TOUBMAN:** Thank you for the clarification.

2 **DR. EDWARDS:** Thank you, Sheldon. Andy?

3 **DR. SHANE:** Thanks, Kathy. I appreciate the
4 presentation as well. It was very helpful and very
5 informative. I support the recommendation from the
6 WHO as well. Thanks

7 **DR. EDWARDS:** Thank you. Geeta?

8 **DR. SWAMY:** Thanks, Kathy. I don't really
9 have any comments. I appreciate all of the data that
10 was presented.

11 **DR. EDWARDS:** Thank you. Okay, Paul Spearman?

12 **DR. SPEARMAN:** I also appreciate the
13 presentation. I think the complexity of H3N2,
14 especially, was very fascinating and difficult. I can
15 see why they take three days to debate this. I just
16 kind of wonder, in the future, if we don't have more
17 polyvalent vaccine where's there's these dominant
18 subclades that you're trying to predict. I don't have
19 any specific questions regarding that. I think it was
20 very informative. Thank you for the discussion.

21 **DR. EDWARDS:** Thank you. Paul Offit?

1 **DR. OFFIT:** Yes. I too support the
2 recommendations as offered and appreciate Jackie's
3 presentation. It shows you how live flu is such a
4 moving target. We talk about the universal flu
5 vaccine, we'd just settle for a better flu vaccine.
6 It's just so hard to just capture this virus. I just
7 really want to praise Jackie, that was an outstanding
8 presentation. I actually trained in Walter Gerhard's
9 lab at Wistar, so I have somewhat an appreciation for
10 this. That was great. I certainly support the
11 current recommendations.

12 **DR. EDWARDS:** Thank you. Dr. Monto, who has
13 been dealing with influenza for quite a few years,
14 what are your thoughts?

15 **DR. MONTO:** Well, you can see why we've gone
16 to quadrivalent. Not so much because there isn't some
17 cross immunity, at least in some populations, but the
18 inability to predict what's going to be coming in the
19 future. I think the biggest worry with Bs is not
20 whether we are predicting the right virus, or not, in
21 the trivalent, but the triple deletion, which is not

1 covered very well by the B/Victoria.

2 As usual, an excellent presentation, Jackie.

3 I support the recommendations and would like, even
4 though it may complicate the situation even further,
5 to hear a little more next spring, at the Northern
6 Hemisphere Recommendation meeting, about neuraminidase
7 and DRiP there. Because I think it's one of our low
8 hanging fruits for improving the vaccine.

9 **DR. KATZ:** Thanks, Arnold. If I can make a
10 comment. I totally understand. I tried to streamline
11 this report a little bit for the Southern Hemisphere,
12 but yeah, we'll definitely include more neuraminidase
13 for the Northern Hemisphere decision.

14 **DR. EDWARDS:** Thank you. Cody Meissner?

15 **DR. MEISSNER:** Yes. Thanks, Kathy. As really
16 an amateur of understanding of influenza, each time I
17 hear about it I realize how extraordinary complicated
18 this whole story is. But, first of all I do support
19 the recommendation, and I have just one comment.

20 As we look at the epidemiology for the maps of
21 the world for each of the three strains that we've

1 been talking about, the amount of activity, whether
2 it's widespread outbreak, regional outbreak, local
3 activity, sporadic activity, follows the borders of
4 the country for obvious reasons. But probably the
5 virus, itself, doesn't follow that pattern. It just
6 seems interesting that Brazil, for example, with H3N2,
7 had local activity and I guess it's Paraguay, just
8 south of that, that had more activity. And then
9 Argentina had sporadic activity, looking at the H3N2
10 maps.

11 I assume that represents differences in their
12 ability to do surveillance, rather than an actual
13 accurate description of the amount of disease they're
14 seeing in these neighboring countries. And the
15 question, I guess, is should we have more than two
16 influenza vaccines? Should we divide it further by
17 continent rather than Northern Hemisphere and Southern
18 Hemisphere?

19 **DR. KATZ:** Do you want me to respond to that?

20 **DR. EDWARDS:** Jackie, please.

21 **DR. KATZ:** Yes. It is interesting that we do

1 see -- and I would say the same is true, if you think
2 back, of the Northern Hemisphere in Europe. It was
3 really a remarkable season, overall, where H3N2
4 dominated so much in the US and Canada, not so much in
5 Mexico. In Europe, the predominance, it was H1 or it
6 was H3 or it was a B; it really varied by country.

7 And so, in those regions, I don't think we're
8 seeing big gaps in surveillance. And likewise, in the
9 Southern Hemisphere in South America, I think there
10 really is some regionality there. Brazil, of course,
11 most of the country or part of the country is in a
12 subtropical region. So, WHO considers sort of
13 different transmission zones; and you might see
14 different patterns there and the virus circulates at
15 different times of the year.

16 So, there's a lot of complexity to it. I
17 think there are regional differences for the different
18 subtypes and lineages, it's not only explained by
19 differences in the surveillance capacity. And maybe
20 some of it is more just due to how many visitors they
21 get, you know, the transportation, the climate. Many

1 different things can play into what is going to
2 predominate in a particular country, in a particular
3 season.

4 **DR. EDWARDS:** Thank you. Dr. Levy?

5 **DR. LEVY:** Hello. I also support the
6 recommendations. I do have some comments, but I don't
7 know the answer to this. I wanted to know, is there
8 notetaking for our comments and do our comments end up
9 in a public record of some sort?

10 **MS. HUNTER-THOMAS:** Your comments are a part
11 of the transcript, which will be posted online, the
12 VRBPAC website.

13 **DR. LEVY:** Thank you for that. I again,
14 commend the presentation from WHO and Dr. Katz.
15 Obviously, complicated and enormous amount of work. I
16 do think our discussion illustrates the need for
17 moving forward and perhaps stating the obvious as
18 NIAID has designated, and other federal agencies have
19 designated, improvement in flu vaccine formation and
20 delivery to be key to addressing this global
21 challenge. But the need for greater precision in the

1 way we approach this entire field. And that precision
2 will come from a lot of direction.

3 We've alluded to being more precise about
4 which viruses, as they're evolving, we're targeting.
5 There was some discussion, just now, about being more
6 precise about the regions in the world because we
7 might see different circulation in different regions,
8 as was highlighted in today's presentation. But
9 there's also increasing evidence that human
10 populations vary, both between populations and within
11 populations, in a response to a given vaccine.

12 So, all of this complex information, to me,
13 makes this really a bioinformatics question. And I
14 would hope to see, in the years ahead, more engagement
15 from those who model this in silico, you know, with
16 bioinformatic tools and artificial intelligence tools,
17 as in parallel with the effort to develop better
18 formulations.

19 One particular population, as a pediatrician,
20 that I focus on are the very young. And we saw that
21 there was some data shown about pediatric and elderly

1 cohorts, which are helpful. In some cases, those
2 pediatric cohorts were more limited. And I would just
3 put in a plug with the powers that be, and whoever
4 decides this resourcing, that moving forward they
5 fully resource the infrastructure such that robust
6 pediatric data is also included to inform the
7 decisions.

8 There are many new tools becoming available in
9 vaccinology. And obviously, Dr. Frouchie (phonetic),
10 and others have highlighted the importance of
11 directing those tools towards this huge challenge.
12 And hopefully, in the years ahead those tools will
13 help us do even better.

14 **DR. EDWARDS:** Thank you, Ofer. Thank you.
15 Michael Kurilla?

16 **DR. KURILLA:** Yes. I concur with the
17 recommendations. The only additional comment I would
18 add is it seems from this discussion that some -- not
19 all -- but some of the discussion could be obviated by
20 moving to a quadrivalent completely replacing the
21 trivalent. I guess I'm curious as to whether that's

1 more on the manufacturer side or is that a regulatory
2 agency side, country by country specific, as to
3 whether they are able to make that move. It seems
4 that most of the discussion we've had could have just
5 been circumvented by a quadrivalent only question.

6 **DR. EDWARDS:** Jerry, do you want to address
7 that question?

8 **DR. WEIR:** I think you're right. It does
9 depend a lot on the manufacture and if they're license
10 to make a trivalent or quadrivalent they can do
11 either. I suspect what drives a lot of this is
12 premarketing conditions and market forces. And if the
13 demand is for quadrivalent, that's what they will
14 produce most likely.

15 **DR. EDWARDS:** Thank you. Michael, did you
16 have any other comments?

17 **DR. KURILLA:** No, I'm fine. Thank you.

18 **DR. EDWARDS:** thank you so much. Holly?

19 **DR. JANES:** I second the recommendation of Dr.
20 Katz and I really appreciated the comments and
21 questions on the part of the committee that have

1 helped to clarify the rationale. And I support the
2 WHO recommendation. No further comments from me.

3 **DR. EDWARDS:** Thank you so much. David
4 Greenberg?

5 **DR. GREENBERG:** Yes. Thank you. And I too
6 greatly appreciate Dr. Katz's presentation. There was
7 a lot of complex information summarized very nicely.
8 I do have a couple of comments and questions.

9 One is, I must say I'm really struck by the
10 change in the number of viruses submitted and detected
11 for the different virus types by GISRS globally. I
12 mean, you know, particularly when you showed the graph
13 of the data for H3N2. We all know that there was a
14 pretty strong season in the Southern Hemisphere for
15 the H3N2 in our summer months last year, and this year
16 there's almost nothing. So, I'm assuming it's a
17 function of the decreased incidence of disease and
18 decreased circulation in the Southern Hemisphere, not
19 only for H3N2, but all the viruses. So, just a
20 general comment.

21 A question, I guess, is when I look at these

1 graphs across the different strains, it makes me
2 realize that much of what you've analyzed for WHO, and
3 now for us today, in VRBPAC, are for the most part
4 strains that are being analyzed from the first quarter
5 of 2018, just because there hasn't been very many
6 viruses isolated during the last three to six months.
7 So, is there any opportunity to increase surveillance
8 programs?

9 I realize that's a question we can't answer
10 today. But is there any consideration being given to
11 trying to increase the number of strains that can be
12 collected from Southern Hemisphere locations; so that
13 you have a better selection of strains to see what's
14 happening in terms of trends across the different
15 mutations and clades, that are occurring prior to this
16 fall meeting?

17 **DR. EDWARDS:** Kathy?

18 **DR. KATZ:** To answer your second question
19 first -- well, I think I'm answering both parts
20 really. I think the reduced number, this season, was
21 reflective that there was just a lower level of

1 activity. Had there been more activity, we would have
2 had more viruses. And since we have a collaborating
3 center in Australia, they are a focal point for data
4 from the Southern Hemisphere. And Australia and New
5 Zealand had an abnormally low season. They barely --
6 I don't think they did break the threshold of their
7 influenza activity. But there were still hundreds of
8 viruses that they gathered from around the region with
9 the predominance being H1N1.

10 In South America, again, the numbers typically
11 tend to be smaller. Where the GPRA (phonetic) system
12 is trying to improve the timeliness we've made last
13 year, new recommendations, new guidelines to how many
14 to send, how often to send, what the timeliness needs
15 to be, what the representativeness needs to be. But
16 some of that is limited by the surveillance systems in
17 different countries.

18 And that is something that WHO is working on
19 and moving towards trying to right-size, if you like -
20 - that's a term we use in the US because we've done
21 that for our US surveillance -- in terms of getting

1 more viruses in, even if they are not representing the
2 activity levels as much, that we still have enough
3 viruses to characterize within a region. So, we are
4 working on that. But I think the lower number this
5 season just totally reflect the low activity that was
6 seen in Australia, New Zealand, and other parts of the
7 region.

8 **DR. GREENBERG:** The other question that I'd
9 just like to touch on for a second is on Victoria.
10 The Colorado, so that's a double deletion, as I
11 understand it, and it reacted well particularly with
12 the double-deletion strains. I did take notice of the
13 cartography graph that there does exist strains from
14 the September 2017 to August 2018 surveillance that,
15 by my uneducated eye or untrained eye, looked to be
16 quite distant. So, even with the selection of
17 Colorado, which is a better selection than the
18 Brisbane, would I be correct in saying that we would
19 still likely have some circulating strains that are
20 not going to react well?

21 **DR. KATZ:** Yes. I think that's correct and it

1 really depends on, as this double deletion is becoming
2 predominant in several regions, whether that is going
3 to continue to all regions. And again, our fitness
4 forecast has predicted that it would eventually
5 replace the older strain, the B/Brisbane-like strains,
6 and that's probably the majority of viruses out there
7 that are not well recognized by a B/Colorado vaccine.
8 We expect that they probably, after, are going to
9 circulate, but the prediction, based on all the
10 available data and the growing emergence of this
11 double deletion variant, is that it will take over
12 eventually. Whether that's totally in the next
13 season, we don't know.

14 **DR. GREENBERG:** Thank you and I support the
15 recommendations of the decisions that were made by
16 WHO. That's all my comments and questions. Thank
17 you.

18 **DR. EDWARDS:** Thank you, David. I certainly
19 share the comments that Cody made about the
20 complexities of influenza. I think I understand it
21 less the older I get than my earlier years.

1 I do really applaud the beautiful data that
2 Jackie presented, and also the ability to dissect some
3 of the egg and the cell culture issues. As Paul said,
4 these are going to be really important things that we
5 need to go forward in trying to dissect them and
6 really seeing how they impact vaccine effectiveness in
7 the various populations. I really think that's
8 helpful. And I do support them as well.

9 **VOTING ON RECOMMENDATIONS**

10 Has everyone had a chance to comment on the
11 recommendations? Okay, I think so. Then let's go
12 ahead and vote on the first questions. The question
13 is: For the composition of trivalent 2019 Southern
14 Hemisphere formulations of influenza, does the
15 committee recommend the inclusion of an
16 A/Michigan/45/2015(H1N1) virus, the inclusion of an
17 A/Switzerland/8060/2017(H3N2)-like virus, and the
18 inclusion of the B/Colorado/06/2017-like (B/Victoria
19 lineage).

20 Because we don't have the automatic voting,
21 then what we'll need to do is just go around the room

1 and you say either yes or no. Is that correct,
2 Serina?

3 **MS. HUNTER-THOMAS:** Yes, that's correct.

4 **DR. EDWARDS:** Okay. Good. Let me then start,
5 Melinda?

6 **DR. WHARTON:** I vote yes, I support the
7 recommendation.

8 **DR. TOUBMAN:** Yes, I support the
9 recommendation.

10 **DR. SWAMY:** Yes, I support those
11 recommendations.

12 **DR. SHANE:** Yes, I support the recommendation.

13 **DR. SPEARMAN:** Yes, I support the
14 recommendation.

15 **DR. OFFIT:** Yes, I support the recommendation.

16 **DR. MONTO:** Yes.

17 **DR. MEISSNER:** Yes.

18 **DR. LEVY:** Yes, I support the recommendation.

19 **DR. KURILLA:** Yes, I support the
20 recommendation.

21 **DR. JANES:** Yes.

1 **DR. EDWARDS:** And Dr. Greenberg does not vote,
2 correct?

3 **MS. HUNTER-THOMAS:** Yes, that's correct.

4 **DR. EDWARDS:** And Kathy Edwards, yes. I think
5 that everyone affirmed the first recommendation.

6 **MS. HUNTER-THOMAS:** Yes. If you could hold on
7 one moment. They're going to display the total
8 results on the webcast. However, you guys won't see
9 it unless I share the webcast with you, but we do know
10 it's a unanimous vote of yes.

11 **DR. EDWARDS:** Wonderful. Okay, tell me when
12 we're ready for the second question.

13 **MS. HUNTER-THOMAS:** Okay, we're ready for the
14 second question, Dr. Edwards. Thank you.

15 **DR. EDWARDS:** The second question: For the
16 quadrivalent 2019 Southern Hemisphere formulations,
17 does the committee recommend the inclusion of the
18 B/Phuket/3073/2013-like virus of the (B/Yamagata
19 lineage) as the 2nd influenza B strain in the vaccine.

20 Okay, let me get my list here. Melinda, would
21 you like to start for us again, please?

1 **DR. WHARTON:** Sure. Yes, I support it.

2 **DR. TOUBMAN:** Yes, I support.

3 **DR. SWAMY:** I support.

4 **DR. SHANE:** Yes, I support.

5 **DR. SPEARMAN:** Yes, I support the
6 recommendation.

7 **DR. OFFIT:** Yes.

8 **DR. MONTO:** Yes.

9 **DR. MEISSNER:** Yes.

10 **DR. LEVY:** Yes.

11 **DR. KURILLA:** Yes.

12 **DR. JANES:** Yes, I support the recommendation.

13 **DR. EDWARDS:** Thank you. And Kathy Edwards,
14 yes, I support the recommendation. Serina?

15 **MS. HUNTER-THOMAS:** Okay. If we could wait a
16 few seconds so they can display. And we know that was
17 also a unanimous vote of 12 yes.

18 **DR. EDWARDS:** Wonderful. Before we adjourn
19 the meeting, I would like to turn it over to Serina,
20 who will acknowledge two people that will be leaving
21 the committee and I think she wanted to comment.

1 **MS. HUNTER-THOMAS:** This is the last meeting
2 for Dr. Ofer Levy. And on behalf of the FDA, on
3 behalf of CBER, on behalf of VRBPAC, we thank you for
4 your service for rendering your keen expertise to this
5 committee. And we greatly appreciate your ability to
6 provide input to the various topics that VRBPAC
7 presents. And we thank you very much for your
8 service.

9 **DR. LEVY:** Thank you for the opportunity to
10 serve. Thank you.

11 **MS. HUNTER-THOMAS:** And on behalf of FDA, CBER
12 and VRBPAC as well, for Dr. Edwards, we're not quite
13 finished with you, but this is officially your last
14 meeting serving as chair. We would like to thank you,
15 Dr. Edwards, for your leadership of this committee.
16 It has been an honor to serve besides you as the
17 Designated Federal Officer, and we will see you next
18 year.

19 **DR. EDWARDS:** Thank you very much and
20 certainly the expertise, quality and scientific input
21 to the committee by the FDA, and also by Serina's good

1 mentorship and leadership, has been very important.
2 I'm going to miss it as well. Thank you all for a
3 very productive meeting. Thank you, Jackie, thank
4 you, Jerry, for your clear and concise presentations.
5 And I think that if there are no other comments, then
6 I will adjourn the meeting.

7 **MS. HUNTER-THOMAS:** Thank you so much, Dr.
8 Edwards, thank you, everyone.

9 **[MEETING ADJOURNED]**